

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Friday, September 23, 2022

9:00 a.m. to 1:37 p.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**DESIGNATED FEDERAL OFFICER (Non-Voting)**

**She-Chia Chen, PharmD**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

**Jorge A. Garcia, MD, FACP**

*(Chairperson)*  
Chief, Division of Solid Tumor Oncology  
George & Edith Richman Distinguished  
Scientist Chair  
Professor of Medicine and Urology  
GU Medical Oncology Program  
University Hospitals Seidman Cancer Center  
Case Comprehensive Cancer Center  
Case Western Reserve University  
Cleveland, Ohio

1 **Ranjana H. Advani, MD**

2 *(September 23 only)*

3 Saul A. Rosenberg Professor of Lymphoma

4 Division of Oncology

5 Stanford University School of Medicine

6 Stanford, California

7

8 **Christopher H. Lieu, MD**

9 Associate Professor of Medicine

10 Associate Director for Clinical Research

11 co-Director, Gastrointestinal Medical Oncology

12 University of Colorado Cancer Center

13 Aurora, Colorado

14

15

16

17

18

19

20

21

22

1     **Ravi A. Madan, MD**

2     Senior Clinician, Genitourinary Malignancies Branch

3     Head, Prostate Cancer Clinical Research Section

4     Program Director, Physician-Scientist Early

5     Investigator Program

6     Center for Cancer Research

7     National Cancer Institute, National Institutes of

8     Health

9     Bethesda, Maryland

10

11     **David E. Mitchell**

12     *(Consumer Representative)*

13     Founder, Patients for Affordable Drugs

14     Bethesda, Maryland

15

16

17

18

19

20

21

22

1     **Jorge J. Nieva, MD**

2     *(September 22 PM session and September 23 only)*

3     Associate Professor of Clinical Medicine

4     Section Head, Solid Tumors

5     University of Southern California (USC) Norris

6     Comprehensive Cancer Center

7     Keck School of Medicine of USC

8     Los Angeles, California

9

10    **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

11    (Non-Voting)

12    **Albert L. Kraus, PhD**

13    *(Acting Industry Representative)*

14    Global Regulatory Portfolio Lead - Oncology

15    Pfizer, Inc.

16    Guilford, Connecticut

17

18

19

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Andy I. Chen MD, PhD**

3       *(September 22 PM session and September 23 only)*

4       Associate Professor, Center for Hematologic

5       Malignancies

6       Oregon Health & Science University

7       Portland, Oregon

8

9       **Stephanie Y. Crawford, PhD, MPH**

10       *(September 22 PM session and September 23 only)*

11       Executive Associate Dean for Faculty Affairs &

12       Strategic Initiatives

13       Professor, Department of Pharmacy Systems,

14       Outcomes and Policy

15       University of Illinois Chicago (UIC) College of

16       Pharmacy

17       Chicago, Illinois

18

19

20

21

22

1     **Boris Freidlin, PhD, MS**

2     *(September 22 PM session and September 23 only)*

3     Branch Chief, Biostatistics Branch

4     Division of Cancer Treatment & Diagnosis

5     National Cancer Institute

6     Bethesda, Maryland

7

8     **David Harrington, MA, PhD**

9     Professor of Biostatistics (Emeritus)

10    Harvard T.H. Chan School of Public Health and

11    Dana-Farber Cancer Institute

12    Boston, Massachusetts

13

14    **Michele Nadeem-Baker, MS**

15    *(Patient Representative for September 23 only)*

16    Charlestown, Massachusetts

17

18

19

20

21

22

1 **Mikkael A. Sekeres, MD, MS**

2 *(September 22 PM session and September 23 only)*

3 Professor of Medicine

4 Sylvester Cancer Center

5 University of Miami

6 Miami, Florida

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Richard Pazdur, MD**

10 Director, Oncology Center of Excellence (OCE)

11 Director (Acting)

12 Office of Oncologic Diseases (OOD)

13 Office of New Drugs (OND), CDER, FDA

14

15 **Marc R. Theoret, MD**

16 *(September 22 PM session and September 23 only)*

17 Deputy Center Director, OCE

18 Supervisory Associate Director (Acting)

19 OOD, OND, CDER, FDA

20

21

22



1     **Nicole Gormley, MD**

2     *(September 22 PM session and September 23 only)*

3     Director

4     Division of Hematologic Malignancies II (DHM II)

5     OOD, OND, CDER, FDA

6

7     **Nicholas Richardson, DO, MPH**

8     *(September 23 only)*

9     Clinical Team Leader

10    DHM II, OOD, OND, CDER, FDA

11

12    **Deepti Telaraja, MD**

13    *(September 23 only)*

14    Clinical Reviewer

15    DHM II, OOD, OND, CDER, FDA

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Jorge Garcia, MD, FACP	12
5	Introduction of Committee	
6	She-Chia Chen, PharmD	12
7	Conflict of Interest Statement	
8	She-Chia Chen, PharmD	18
9	<b>FDA Introductory Comments</b>	
10	Nicholas Richardson, DO, MPH	23
11	<b>Applicant Presentations - Secura Bio, Inc.</b>	
12	Introduction	
13	David Sidransky, MD	39
14	Disease Background and Unmet Need in	
15	CLL/SLL	
16	Susan O'Brien, MD	47
17	Efficacy and Safety	
18	Matthew Davids, MD, MMsc	56
19	Overall Survival and Benefit/Risk	
20	David Sidransky, MD	65
21	Clinical Perspective	
22	Matthew Davids, MD, MMsc	78

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
<b>FDA Presentation</b>	
Duvelisib - NDA 211155	
Deepti Telaraja, MD	85
Clarifying Questions to Presenters	114
<b>Open Public Hearing</b>	162
Clarifying Questions to Presenters (con't)	177
Questions to the Committee and Discussion	189
Adjournment	229

P R O C E E D I N G S

(9:00 a.m.)

**Call to Order**

DR. GARCIA: Good morning and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is April Grant. Her email and phone number are currently displayed.

My name is Jorge Garcia, and I will be chairing today's meeting. I will now call the last session of the September 22-23, 2022 meeting of the Oncology Drug Advisory Committee to order. Dr. She-Chia Chen is the designated federal officer for this meeting and will begin with introductions.

Dr. Chen?

**Introduction of Committee**

DR. S. CHEN: Thank you, Dr. Garcia.

Good morning. My name is She-Chia Chen, and I am the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation. We'll first start with ODAC members.

1 Dr. Advani?

2 DR. ADVANI: Dr. Advani, Stanford.

3 DR. S. CHEN: Dr. Garcia?

4 DR. GARCIA: Jorge Garcia, GU medical  
5 oncology and the chair of the Solid Tumor Oncology  
6 program at University Hospitals Seidman Cancer  
7 Center, Case Western Reserve University in  
8 Cleveland, Ohio.

9 DR. S. CHEN: Dr. Lieu?

10 DR. LIEU: Good morning, everybody. My name  
11 is Chris Lieu. I'm a GI medical oncologist and  
12 associate director for clinical research at  
13 University of Colorado Cancer Center.

14 DR. S. CHEN: Dr. Madan?

15 DR. MADAN: Good morning. Ravi Madan, head  
16 of the prostate clinical research section at the  
17 National Cancer Institute.

18 DR. S. CHEN: Mr. Mitchell?

19 MR. MITCHELL: Hi. I'm David Mitchell. I  
20 am president of Patients for Affordable Drugs.

21 DR. S. CHEN: Dr. Nieva?

22 DR. NIEVA: Jorge Nieva, Section Head, Solid

1 Tumors, University of Southern California and  
2 Norris Comprehensive Cancer Center, and the Keck  
3 School of Medicine at USC.

4 DR. S. CHEN: Dr. Chen?

5 DR. A. CHEN: Andy Chen, Oregon Health &  
6 Science University.

7 DR. S. CHEN: Dr. Crawford?

8 DR. CRAWFORD: Good morning. Stephanie  
9 Crawford. I'm professor in the Department of  
10 Pharmacy Systems, Outcomes and Policy, University  
11 of Illinois Chicago, and I'm also executive  
12 associate dean for Faculty Affairs and Strategic  
13 Initiatives in the College of Pharmacy, and my area  
14 of expertise is drug safety and health equity in  
15 the medication use process.

16 DR. S. CHEN: Dr. Freidlin?

17 DR. FREIDLIN: Good morning. Boris  
18 Freidlin. I am chief of the biostatistics branch  
19 in the Division of Cancer Treatment & Diagnosis,  
20 National Cancer Institute.

21 DR. S. CHEN: Dr. Harrington?

22 DR. HARRINGTON: Good morning. David

1 Harrington, biostatistician, Dana-Farber Cancer  
2 Institute and Harvard School of Public Health.

3 DR. S. CHEN: Ms. Nadeem-Baker?

4 MS. NADEEM-BAKER: Good morning. I'm  
5 Michele Nadeem-Baker. I am a cancer patient, and I  
6 head a few patient support groups and communities  
7 online.

8 DR. S. CHEN: And Dr. Sekeres?

9 DR. SEKERES: Good morning, everyone. This  
10 is Mikkael Sekeres, professor of medicine, chief of  
11 Division of Hematology at the Sylvester Cancer  
12 Center at University of Miami, former standing  
13 member and chair of ODAC.

14 DR. S. CHEN: Next is acting industry  
15 representative to the committee.

16 Dr. Kraus?

17 DR. KRAUS: Yes. Hi, everyone. Albert  
18 Kraus. I'm an experienced drug developer who's  
19 been involved in research and development of cancer  
20 medicines for multiple decades, with multiple  
21 companies, and I hope to bring that perspective to  
22 any discussion. I am currently employed by Pfizer.

1 Thank you.

2 DR. S. CHEN: Finally, I would like to  
3 introduce FDA participants.

4 Dr. Pazdur?

5 DR. PAZDUR: Hi. Richard Pazdur, and I'm  
6 the director of the Oncology Center of Excellence  
7 at the FDA.

8 DR. S. CHEN: Dr. Theoret?

9 DR. THEORET: Yes. Hi. My name is Marc  
10 Theoret, oncologist. I'm a deputy center director  
11 of the Oncology Center of Excellence.

12 DR. S. CHEN: Dr. Gormley?

13 DR. GORMLEY: Good morning. I'm Nicole  
14 Gormley. I'm a hematologist and the director of  
15 the Division of Hematologic Malignancies II, at the  
16 FDA.

17 DR. S. CHEN: Dr. Richardson?

18 DR. RICHARDSON: Hi. Nicholas Richardson,  
19 clinical team leader, Division of Hematologic  
20 Malignancies II, at the FDA.

21 DR. S. CHEN: And Dr. Telaraja?

22 DR. TELARAJA: Hi. I'm Deepti Telaraja, a



1 clinical reviewer in the Division of Hematologic  
2 Malignancies II, at the FDA.

3 DR. S. CHEN: Thank you all.

4 DR. GARCIA: For topics such as those being  
5 discussed at this meeting, there are often a  
6 variety of opinions, some of which are quite  
7 strongly held. Our goal is that this meeting will  
8 be a fair and open forum for discussion of these  
9 issues, and that individuals can express their  
10 views without interruption.

11 Thus, a gentle reminder; individuals will be  
12 allowed to speak into the record only if recognized  
13 by the chairperson. We look forward to a  
14 productive meeting.

15 In the spirit of the Federal Advisory  
16 Committee Act and the Government in the Sunshine  
17 Act, we ask that the advisory committee members  
18 take care that their conversations about the topic  
19 at hand take place in the open forum of the  
20 meeting.

21 We are aware that members of the media are  
22 anxious to speak with the FDA about these

1 proceedings, however, FDA will refrain from  
2 discussing the details of this meeting with the  
3 media until its conclusion. Also, the committee is  
4 reminded to please refrain from discussing the  
5 meeting topic during the break. Thank you.

6 Dr. She-Chia Chen will now read the Conflict  
7 of Interest Statement for the meeting.

8 Dr. Chen?

9 **Conflict of Interest Statement**

10 DR. CHEN: Thank you, Dr. Garcia.

11 The Food and Drug Administration, FDA, is  
12 convening today's meeting of the Oncologic Drugs  
13 Advisory Committee under the authority of the  
14 Federal Advisory Committee Act, FACA, of 1972.  
15 With the exception of the industry representative,  
16 all members and temporary voting members of the  
17 committee are special government employees, SGEs,  
18 or regular federal employees from other agencies  
19 and are subject to federal conflict of interest  
20 laws and regulations.

21 The following information on the status of  
22 this committee's compliance with federal ethics and

1 conflict of interest laws, covered by but not  
2 limited to those found at 18 U.S.C. Section 208, is  
3 being provided to participants in today's meeting  
4 and to the public.

5 FDA has determined that members and  
6 temporary voting members of this committee are in  
7 compliance with federal ethics and conflict of  
8 interest laws. Under 18 U.S.C. Section 208,  
9 Congress has authorized FDA to grant waivers to  
10 special government employees and regular federal  
11 employees who have potential financial conflicts  
12 when it is determined that the agency's need for a  
13 special government employee's services outweighs  
14 his or her potential financial conflict of  
15 interest, or when the interest of a regular federal  
16 employee is not so substantial as to be deemed  
17 likely to affect the integrity of the services  
18 which the government may expect from the employee.

19 Related to the discussions of today's  
20 meeting, members and temporary voting members of  
21 this committee have been screened for potential  
22 financial conflicts of interests of their own as

1 well as those imputed to them, including those of  
2 their spouses or minor children and, for purpose of  
3 18 U.S.C. Section 208, their employers. These  
4 interests may include investments; consulting;  
5 expert witness testimony; contracts, grants,  
6 CRADAs; teaching, speaking, writing; patents and  
7 royalties; and primary employment

8 For today's discussion, the committee will  
9 hear an update on new drug application, NDA,  
10 211155, for Copiktra, duvelisib, capsule, submitted  
11 by Secura Bio, Inc. This product was approved  
12 under Section 505(b) of federal Food, Drug, and  
13 Cosmetic Act, FD&C Act, for use in the treatment of  
14 adult patients with relapsed or refractory chronic  
15 lymphocytic leukemia or small lymphocytic lymphoma  
16 after at least 2 prior therapies.

17 The update includes the final overall  
18 survival data from the DUO trial, IPI-145-07,  
19 submitted in response to postmarketing  
20 requirement 3494-3 detailed in the September 24,  
21 2008 [sic 2018] approval letter, available at  
22 [www.accessdata.fda.gov/drugsatfda-docs/appletter/](http://www.accessdata.fda.gov/drugsatfda-docs/appletter/)

1 2018/211155Orig2s0001tr.pdf. Based on the updated  
2 overall survival information along with the safety  
3 data with duvelisib, the committee will discuss a  
4 current assessment of benefit-risk. This is a  
5 particular matters meeting during which specific  
6 matters related to Secura Bio's NDA will be  
7 discussed.

8 Based on the agenda for today's meeting and  
9 all financial interests reported by the committee  
10 members and temporary voting members, conflict of  
11 interest waivers have been issued in accordance  
12 with 18 U.S.C. Section 208 (b) (3) to Dr. Andy Chen.

13 Dr. Chen's waiver involves his employer's  
14 research contract for two studies funded by  
15 competing firms. One study is funded by TG  
16 Therapeutics, and Dr. Chen's employer received  
17 between \$0 and \$50,000 per year. The second study  
18 is funded by Fate Therapeutics, and Dr. Chen's  
19 employer received between \$0 and \$10,000 per year.

20 The waivers allow this individual to  
21 participate fully in today's deliberations. FDA's  
22 reasons for issuing the waivers are described in

1 the waiver documents, which are posted on FDA's  
2 website at [www.fda.gov/advisory-committees/  
3 committees-and-meeting-materials/human-drug-  
4 advisory-committees](http://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees).

5 Copies of the waivers may also be obtained  
6 by submitting a written request to the agency's  
7 Freedom of Information Division, 5630 Fishers Lane,  
8 Room 1035, Rockville, Maryland, 20857, or requests  
9 may be sent via fax to 301-827-9267. To ensure  
10 transparency, we encourage all standing committee  
11 members and temporary voting members to disclose  
12 any public statements that they have made  
13 concerning the product at issue.

14 With respect to FDA's invited industry  
15 representative, we will like to disclose that  
16 Dr. Albert Kraus is participating in this meeting  
17 as a non-voting industry representative acting on  
18 behalf of regulated industry. Dr. Kraus' role at  
19 this meeting is to represent industry in general  
20 and not any particular company. Dr. Kraus is  
21 employed by Pfizer.

22 We would like to remind members and

1 temporary voting members that if the discussions  
2 involve any other product or firms not already on  
3 the agenda for which an FDA participant has a  
4 personal or imputed financial interest, the  
5 participants need to exclude themselves from such  
6 involvement, and their exclusion will be noted for  
7 the record. FDA encourages all other participants  
8 to advise the committee of any financial  
9 relationships that they may have with the firm at  
10 issue. Thank you.

11 DR. GARCIA: Thank you, Dr. Chen.

12 We will now proceed with the FDA  
13 introductory comments from Dr. Nicholas Richardson.

14 Dr. Richardson?

15 **FDA Introductory Comments - Nicholas Richardson**

16 DR. RICHARDSON: Good morning, and welcome  
17 to the September 23rd Oncologic Drugs Advisory  
18 Committee meeting. I am Nicholas Richardson, a  
19 pediatric hematologist/oncologist in the Division  
20 of Hematologic Malignancies II, at the FDA. I will  
21 provide a brief introduction for duvelisib in  
22 patients with chronic lymphocytic leukemia and

1 small lymphocytic lymphoma, and the issues under  
2 discussion.

3 Today's ODAC will focus on a current  
4 assessment of benefit-risk for duvelisib in  
5 patients with relapsed or refractory chronic  
6 lymphocytic leukemia or small lymphocytic lymphoma.  
7 The issues under discussion include updated overall  
8 survival data from the randomized DUO trial,  
9 evaluating duvelisib versus ofatumumab in patients  
10 with relapsed or refractory CLL or SLL, which  
11 supported the initial approval of duvelisib.

12 The 5-year OS analysis from the DUO trial  
13 showed a potential detriment in overall survival.  
14 The potential detriment is in the setting of a  
15 benefit in progression-free survival and overall  
16 response rate, which indicates that the potential  
17 OS detriment is a primary safety concern.

18 Within the DUO trial, duvelisib also  
19 demonstrated substantial toxicity with higher rates  
20 of death due to adverse events, grade 3 or greater  
21 toxicity, and serious adverse events compared to  
22 the control arm, along with high rates of treatment



1 modifications, indicating tolerability concerns.  
2 Because of the OS findings and the toxicity data,  
3 there are concerns regarding the selected dose of  
4 duvelisib.

5 Finally, the updated data from the DUO trial  
6 will be placed in the context of the concerns with  
7 the PI3K inhibitor drug class, which was discussed  
8 at the April 20, 2022 ODAC, and highlighted the  
9 importance of overall survival in informing  
10 benefit-risk, especially in patients with indolent  
11 diseases, such as CLL or SLL, that have a long  
12 natural history, multiple available therapies, and  
13 the potential for prolonged survival. Taken  
14 together, we are asking the committee today to  
15 discuss a current assessment of benefit-risk for  
16 duvelisib.

17 I'd like to take a moment and highlight some  
18 important considerations regarding the mechanism of  
19 action of duvelisib. Overactivation of the  
20 PI3-kinase pathway is common in cancer, including  
21 hematologic malignancies such as CLL. Duvelisib is  
22 a PI3K delta and gamma inhibitor and is a targeted

1 immunomodulatory drug. The delta and gamma  
2 isoforms are preferentially expressed in immune  
3 cells, particularly leukocytes.

4           Because of this, duvelisib has a distinct  
5 safety profile that includes infections,  
6 cytopenias, and immune-mediated toxicities.  
7 Infections include pneumonia, opportunistic  
8 infections like PCP and CMV reactivation; and with  
9 regards to the immune-mediated toxicities, the PI3K  
10 isoforms are important for regulatory T-cell  
11 function, and the immune modulation leads to the  
12 development of immune-mediated toxicities such as  
13 hepatitis, pneumonitis, colitis, and rash.

14           Duvelisib received regular approval in  
15 September 2018 for patients with relapsed or  
16 refractory CLL or SLL after at least 2 prior  
17 therapies. Approval was based on the DUO trial, a  
18 randomized, actively-controlled trial evaluating  
19 duvelisib versus ofatumumab in 319 adults with CLL  
20 or SLL after at least one prior therapy.

21           The DUO trial excluded patients with prior  
22 exposure to a BTK inhibitor. The primary endpoint

1 was progression-free survival per an independent  
2 review committee. Key secondary endpoints included  
3 overall response rate and overall survival. Of  
4 note, patients with confirmed disease progression  
5 were able to crossover to the alternative treatment  
6 arm. Approval was based on a demonstrated benefit  
7 in PFS and overall response rate. At the time of  
8 the initial approval, overall survival was immature  
9 with a median of 24 months of OS follow-up.

10 At the time of the initial approval, several  
11 measures were included to mitigate risk.

12 Duvelisib's labeling included a boxed warning for  
13 fatal or serious infection, diarrhea or colitis,  
14 rash, and pneumonitis. Additionally, the  
15 toxicities of neutropenia and hepatotoxicity were  
16 included as warnings and precautions.

17 A risk evaluation and mitigation strategy,  
18 or REMS, was also included with the initial  
19 approval of duvelisib to ensure its safe and  
20 effective use and that its benefits outweigh its  
21 risks. Importantly, two postmarketing requirements  
22 were issued because of the concerns with fatal and

1 serious toxicity with duvelisib. One was to  
2 characterize longer term safety, and the other was  
3 to provide updated overall survival data with  
4 5 years of OS follow-up in the DUO trial.

5 The 5-year OS data from the DUO trial  
6 demonstrate the potential detriment in overall  
7 survival in patients treated with duvelisib in the  
8 ITT population. The median overall survival, as  
9 shown in the table, demonstrated an 11-month  
10 difference in OS, favoring the ofatumumab arm.  
11 There were a higher number of deaths in the  
12 duvelisib arm, and the estimated hazard ratio was  
13 1.09.

14 The potential OS detriment is in the setting  
15 of a benefit in PFS and response rate, indicating a  
16 primary safety concern. Notably, the ITT  
17 population includes patients with at least one  
18 prior therapy, whereas the indicated population for  
19 duvelisib is those with at least 2 prior therapies.

20 The potential OS detriment in the 5-year OS  
21 analysis is also seen in the indicated population,  
22 consistent with the ITT population, with a higher

1 rate of death in the duvelisib arm and an estimated  
2 hazard ratio of 1.06. As mentioned, the potential  
3 OS detriment is in the setting of a benefit in PFS  
4 and response rate, and the safety review support a  
5 primary safety concern. Overall, there were a  
6 higher number of deaths in the duvelisib arm,  
7 50 percent versus 44 percent in the ITT population.  
8 Notably, there was a higher rate of death due to  
9 adverse events with duvelisib, at 15 percent versus  
10 3 percent with ofatumumab. Fatal toxicity with  
11 duvelisib was primarily due to infection, with  
12 9 percent experiencing fatal infections.

13 The DUO trial allowed crossover upon disease  
14 progression. A substantial number of patients  
15 crossed over from the ofatumumab arm to receive  
16 subsequent therapy with duvelisib, a total of  
17 90 patients. This is in contrast to only  
18 9 patients that crossed over from duvelisib to  
19 receive subsequent therapy with ofatumumab. Of the  
20 90 patients that received subsequent treatment with  
21 duvelisib, 10 percent experienced a fatal toxicity.  
22 Again, fatal infections were the most common cause.

1           The FDA acknowledges that the presence of  
2 crossover can make the assessment of overall  
3 survival challenging, however, in this case, we  
4 have a substantial amount of crossover to receive  
5 subsequent therapy with duvelisib, a drug with  
6 serious and fatal toxicity, potentially causing  
7 harm to the control group and may mask a difference  
8 between the treatment arms that would have favored  
9 the control arm. Put another way, despite  
10 crossover, we are still seeing a signal for a  
11 potential detriment in overall survival and the  
12 potential for harm with duvelisib.

13           The updated overall survival data are  
14 further reinforced by the safety data in the DUO  
15 trial. This graph shows the safety results from  
16 the trial. For deaths due to adverse events,  
17 grade 3 or greater toxicity, serious adverse  
18 events, and treatment modifications due to an  
19 adverse event, the rates were notably higher in the  
20 duvelisib arm, as indicated by the blue bars in the  
21 graph.

22           The safety results from the DUO trial

1 demonstrate that the PI3K associated toxicities of  
2 an infection and immune-mediated toxicities of  
3 diarrhea or colitis, increased AST or ALT, rash,  
4 and pneumonitis are driving the differences in  
5 safety. As shown in the table, the incidence of  
6 grade 3 or greater PI3K-associated toxicities,  
7 except neutropenia, are 2 to 3 times or more higher  
8 in the duvelisib arm compared to the control arm.

9 With the updated OS results, along with the  
10 safety data with duvelisib, there are concerns with  
11 the selected dose of 25 milligrams. The concerns  
12 stem from high rates of treatment modification due  
13 to adverse events and exposure-response  
14 relationships for safety, along with no  
15 exposure-response relationship for efficacy. This  
16 is preempted by limited dose exploration of doses  
17 lower than 25 milligrams, yielding uncertainty  
18 regarding the current 25-milligram dose.

19 A PI3K inhibitor class ODAC was held in  
20 April of this year and raised some relevant  
21 considerations for our discussion today. This  
22 table shows 6 randomized trials evaluating PI3K

1 inhibitors in patients with CLL or non-Hodgkin  
2 lymphoma, including the DUO trial, that show a  
3 potential detriment in overall survival.

4 All of these trials showed a benefit in  
5 efficacy outcomes such as PFS and/or response rate,  
6 indicating that the potential overall survival  
7 detriment is due to safety concerns. Further, each  
8 of the trials demonstrated a higher rate of deaths  
9 due to adverse events in the PI3K inhibitor-  
10 containing arm.

11 A consistent pattern of a potential  
12 detriment in overall survival in multiple  
13 randomized trials within a class of drugs is  
14 unprecedented in oncology and gives credence to the  
15 fact that this is unlikely to be a finding that is  
16 due to chance. Furthermore, this occurred in  
17 patients with indolent diseases that have a long  
18 natural history, an opportunity for prolonged  
19 survival, multiple treatment options, and the  
20 presence of disease alone isn't necessarily an  
21 indication for treatment.

22 As a result of the assessment of the PI3K



1 inhibitor class in hematologic malignancies,  
2 multiple regulatory actions occurred. The  
3 indications for follicular lymphoma were  
4 voluntarily withdrawn from the U.S. market for  
5 duvelisib, idelalisib, umbralisib. The SLL  
6 indication for idelalisib and marginal zone  
7 lymphoma indication for umbralisib were also  
8 voluntarily withdrawn.

9 In addition, the supplemental NDA  
10 application for copanlisib, for patients with  
11 follicular lymphoma and marginal zone lymphoma,  
12 based on the CHRONOS-3 trial, was voluntarily  
13 withdrawn in December 2021, and the umbralisib  
14 application for patients with CLL, based on the  
15 UNITY-CLL trial, was voluntarily withdrawn in April  
16 2022.

17 The PI3K inhibitor ODAC raised similar  
18 issues to those under discussion today, including  
19 PI3K inhibitor toxicity, concerns regarding dosing,  
20 adequate dose exploration, a narrow range between  
21 an effective and a toxic dose, and a potential  
22 detriment in overall survival in the setting of a

1 benefit in PFS and response rate. An important  
2 aspect that was communicated at the meeting was the  
3 importance of overall survival data in informing  
4 benefit-risk, and that products should be safe in  
5 order to effectively treat patients with cancer.

6           Following the April ODAC on PI3K inhibitors,  
7 the FDA issued a safety alert regarding the updated  
8 overall survival data with duvelisib from the DUO  
9 trial to inform patients and healthcare providers  
10 on the potential risk. The alert also indicated  
11 that the information with duvelisib would be  
12 further discussed at a public meeting.

13           We are here today to discuss a current  
14 benefit-risk assessment for duvelisib in patients  
15 with relapsed or refractory CLL or SLL. To provide  
16 context for the discussion today, I'd like to  
17 highlight some important considerations. First, we  
18 are discussing updated overall survival data, and  
19 overall survival has been deemed the paramount  
20 endpoint for patients with cancer, as it is an  
21 objective measure of clinical benefit.

22           FDA considers overall survival as an

1 efficacy and a safety endpoint with the ability to  
2 adequately assess for harm. Because of concerns  
3 with fatal and serious toxicity with duvelisib, FDA  
4 issued a postmarketing requirement for 5-year  
5 overall survival data from the DUO trial.

6 The 5-year OS data from the DUO trial  
7 demonstrate a potential detriment in overall  
8 survival in the setting of a benefit in PFS and  
9 response rate. The safety data support that the  
10 potential OS detriment is due to toxicity with  
11 higher rates of death due to adverse events, and  
12 fatal toxic deaths in some patients that crossed  
13 over to receive duvelisib following disease  
14 progression.

15 The updated OS data should be considered  
16 along with the existing safety data, demonstrating  
17 substantial toxicity and poor tolerability with  
18 duvelisib. This is also in conjunction with the  
19 concerns regarding the selected dose of duvelisib,  
20 the narrow range between an effective and a toxic  
21 dose, and limited dose exploration at lower dose  
22 levels. Finally, safety data from same-in-class

1 products should be considered in the current  
2 assessment of benefit-risk for duvelisib.

3           Lastly, I'd like to further highlight the  
4 disease setting and the treatment paradigm for  
5 patients with CLL and SLL. Today we are discussing  
6 data that shows a potential detriment in overall  
7 survival in patients with CLL and SLL, indolent  
8 diseases that have a long natural history and an  
9 opportunity for prolonged survival. Patients  
10 require an indication for treatment, and  
11 progression or presence of disease isn't  
12 necessarily an indication to treat. Also, patients  
13 have multiple effective treatment options with  
14 known efficacy and safety, and this table shows the  
15 FDA-approved therapies for patients with CLL or  
16 indolent non-Hodgkin lymphoma.

17           It is also important to note that the  
18 treatment paradigm for patients with CLL has  
19 significantly evolved with the approval of targeted  
20 therapies such as BTK inhibitors and the bcl-2  
21 inhibitor, venetoclax.

22           Currently, most patients with CLL or SLL

1 will be treated with a BTK or bcl-2 inhibitor as  
2 part of frontline or second-line therapy. This is  
3 relevant to today's discussion because the data  
4 with duvelisib does not include patients with prior  
5 BTK or bcl-2 inhibitor exposure. The disease  
6 setting and available therapies are an important  
7 consideration as we discuss a current assessment of  
8 benefit-risk for duvelisib and the issues at hand.

9 For today's ODAC, we would like the  
10 committee to discuss the benefit-risk profile of  
11 duvelisib for the currently indicated population,  
12 considering the updated results of the DUO trial.  
13 The voting question is, given the potential  
14 detriment in overall survival, duvelisib-associated  
15 toxicity, concerns with the selected dose, and the  
16 safety issues with the PI3K inhibitor class, is the  
17 benefit-risk profile of duvelisib favorable in  
18 patients with relapsed or refractory CLL or SLL  
19 after at least 2 prior therapies?

20 On a final note, we are asking for the  
21 committee members to use your clinical and  
22 scientific expertise to assess the benefit-risk

1 profile of duvelisib, based on the data and  
2 discussions presented at this meeting today. Thank  
3 you. This concludes my presentation.

4 DR. GARCIA: Thank you, Dr. Richardson.

5 Both the Food and Drug Administration, FDA,  
6 and the public believe in a transparent process for  
7 information gathering and decision making. To  
8 ensure such transparency at the advisory committee  
9 meeting, FDA believes that it is important to  
10 understand the context of an individual's  
11 presentation.

12 For this reason, FDA encourages all  
13 applicants, including the Secura Bio, Inc's  
14 non-employee presenters, to advise the committee of  
15 any financial relationships that they may have with  
16 the sponsor such as consulting fees, travel  
17 expenses, honoraria, and interest in the sponsor,  
18 including equity interests and those based upon the  
19 outcome of the meeting.

20 Likewise, FDA encourages you at the  
21 beginning of your presentation to advise the  
22 committee if you do not have any such financial

1 relationships. If you choose not to address this  
2 issue of financial relationships at the beginning  
3 of your presentation, it will not preclude you from  
4 speaking.

5 We will now proceed with presentations from  
6 Secura Bio, Inc.

7 **Applicant Presentation - David Sidransky**

8 DR. SIDRANSKY: Thank you.

9 I am David Sidransky, a paid clinical  
10 advisor for Secura Bio and professor of oncology at  
11 Johns Hopkins University. This arrangement has  
12 been reviewed and approved by the Johns Hopkins  
13 University in accordance with its conflict of  
14 interest policy.

15 Today we will discuss duvelisib, which is  
16 marketed under the trade name Copiktra, and is  
17 indicated for the treatment of adult patients with  
18 relapsed or refractory CLL or SLL, after at least  
19 2 prior therapies. In September of 2018, duvelisib  
20 received full approval, also known as regular  
21 approval. Since it's not an accelerated approval,  
22 confirmatory evidence was not required. The

1 approval was based on DUO, a randomized trial of  
2 duvelisib versus ofatumumab in patients with CLL or  
3 SLL who had received at least one prior treatment.

4 The trial showed a statistically significant  
5 and clinically meaningful benefit in PFS, the  
6 standard endpoint for approvals of CLL drugs. The  
7 DUO trial demonstrated an acceptable and manageable  
8 safety profile with a positive benefit-risk. In  
9 order to maximize the benefit-risk ratio consistent  
10 with FDA's principle of regulatory flexibility for  
11 serious and life-threatening diseases, the FDA  
12 recommended and granted full approval for the  
13 subgroup of patients with two or more prior  
14 therapies.

15 There were 3 postmarketing safety  
16 requirements: the communication REMS to inform  
17 prescribers and patients; the potential risk of  
18 treatment, including serious infections and  
19 autoimmune toxicities; updated long-term safety  
20 from all the ongoing clinical trials; an updated  
21 report of OS with 5 years of follow-up; and the  
22 characterization of long-term survival for patients



1 treated with duvelisib. All PMRs were met in a  
2 timely manner. The totality of the evidence from  
3 DUO and the PMR demonstrate that the favorable  
4 benefit-risk profile of duvelisib has not changed  
5 since approval under its conditions of use.

6 I will now review the events leading up to  
7 this ODAC. Per the PMR, we submitted OS data in  
8 June of 2021. The FDA confirmed receipt and did  
9 not make any comments or requests. Specifically,  
10 no safety concerns were raised more than a year  
11 ago. In September, the FDA approved the dose  
12 increase to 40 milligrams BID in patients on  
13 moderate CYP3A4 inducers after reviewing the drug  
14 interaction study they requested we conduct.  
15 Again, no safety concerns were raised.

16 We subsequently submitted the same OS data  
17 to the European CHMP. They decided that the  
18 updated results reflected accrual of adverse events  
19 only for a small group of duvelisib patients, and  
20 were inconclusive. The European Agency recommended  
21 updates to the approved product characteristics  
22 information.

1           Approximately 6 months after submitting the  
2 updated overall survival data, the FDA asked for  
3 additional analysis for their use at the April 21  
4 ODAC without sponsor. The ODAC recommended that  
5 for PI3K inhibitors in development,  
6 randomized-controlled trials with OS assessment  
7 should be required. Indeed, DUO was already  
8 conducted, according to these recommendations.  
9 After review of the updated OS data, the FDA  
10 requested that the sponsor issue a Dear Health Care  
11 Provider communication, informing prescribers of  
12 the results. The sponsor submitted the letter for  
13 FDA review and distributed it. The sponsor also  
14 submitted a prior approval supplement to update the  
15 label, with results communicated in the DHCP. On  
16 June 15, the FDA informed the sponsor that the NDA  
17 would be discussed at a product-specific ODAC.

18           We will show you today that the benefit-risk  
19 ratio for duvelisib remains positive under the  
20 conditions of its approval for the population of  
21 refractory or relapsed CLL patients who have  
22 previously received 2 prior therapies where the

1 unmet need remains high. Duvelisib was approved  
2 with a statistically significant and clinically  
3 meaningful benefit in PFS and overall response rate  
4 from the DUO trial. The interim and final OS data  
5 in the indicated population remains neutral.

6 In the indicated population, the OS rate  
7 favored duvelisib for the first 3 years, and as  
8 expected, the data after 3 years were confounded by  
9 massive unbalanced crossover to duvelisib on the  
10 control arm. Indeed, a hazard ratio of 1.06 with  
11 very wide confidence intervals does not support the  
12 conclusion of detriment in survival. Likewise, an  
13 alternative OS assessment, mean survival time was  
14 39-and-a-half months for duvelisib versus  
15 38.6 months for ofatumumab, and they are comparable  
16 and do not support a detriment to survival. \*start

17 The updated safety data demonstrated no  
18 change in the safety profile since 2018. It should  
19 be noted that the accrual of safety events were  
20 heavily impacted by ascertainment bias due to the  
21 time limited at administration of ofatumumab and  
22 the lack of collection of background CLL adverse

1 events during follow-up on that arm. The totality  
2 of the data thus demonstrates that the benefit-risk  
3 profile of duvelisib remains positive, and that  
4 there is no new evidence to suggest that this has  
5 changed since its approval in 2018.

6 I would like to highlight some of the key  
7 points we will clarify in our presentation today.  
8 The FDA requires an assessment of long-term OS and  
9 informed benefit-risk. We agree that randomized OS  
10 data are important to assess safety. The FDA  
11 points out that the approved and ethical trial  
12 design led to a large imbalance in crossover.  
13 Again, we agree, and of course the consequence of  
14 the differential crossover is that we are  
15 eventually comparing duvelisib to duvelisib.

16 The FDA asserts that the OS hazard ratio of  
17 1.09 in the ITT population and 1.06 in the labeled  
18 indication points to a decrement in survival. Our  
19 interpretation of these results differ. An overall  
20 survival HR near 1 was expected based on the design  
21 and the benefit of duv [ph] received by patients  
22 after crossing over from the ofa [ph] arm. Wide

1 confidence intervals were also expected. The  
2 appropriate interpretation of such an analysis is  
3 that there is no advantage or detriment in overall  
4 survival.

5 Similarly, we agree with the FDA that  
6 there's a total difference of three additional  
7 deaths in the duvelisib arm. It was expected that  
8 the null hypothesis would be reached based on the  
9 trial design, as clearly evidenced by the  
10 overlapping KM curves.

11 The FDA's position is that drugs are  
12 evaluated based on the trial design even if one  
13 drug is fixed before a time, based on its intended  
14 use. While we agree, it is important to remember  
15 that this is only relevant when the patients remain  
16 on the randomized treatment.

17 The FDA has great concerns regarding an  
18 increase in fatal infections from interim to final  
19 analysis. In fact, there's no appreciable change  
20 in the rate of fatal infections in patients treated  
21 with duvelisib, and no new signal for these events.  
22 Furthermore, per approved protocol, no safety data

1 was tabulated in the ofa arm after treatment,  
2 leading to an ascertainment bias which exaggerated  
3 the apparent difference between the arms.

4 The FDA has questioned that the optimal dose  
5 of duvelisib was adequately determined. In fact,  
6 comprehensive dose ranging was completed prior to  
7 initiation of the pivotal trial. The dose selected  
8 was one-third of the MTD, and both 15- and  
9 25-milligram capsules are approved for flexibility.  
10 Finally, the FDA has asserted that PI3K inhibitors  
11 as a class have shown a pattern that decreased  
12 overall survival. A balanced view of the class  
13 demands consideration of the indicated patient  
14 population. Clinical trials with PI3K inhibitors  
15 in relapsed and refractory CLL patients have  
16 consistently shown a neutral or positive OS signal,  
17 supporting a positive benefit-risk of PI3K  
18 inhibitors specifically in relapsed or refractory  
19 CLL.

20 Following my introductions, Dr. Susan  
21 O'Brien from the University of California Irvine  
22 will discuss the disease background and unmet need;

1 Dr. Matthew Davids from the Dana-Farber Cancer  
2 Institute will review the efficacy and safety data;  
3 and then I will return to present the overall  
4 survival data and discuss benefit-risk. Dr. Davids  
5 will then conclude by providing his clinical  
6 perspective. In addition, we have the consultants  
7 listed on this slide available to assist in  
8 answering your questions.

9 I will now turn the podium over to  
10 Dr. O'Brien.

11 **Applicant Presentation - Susan O'Brien**

12 DR. O'BRIEN: Thank you, Dr. Sidransky.

13 Good morning. My name is Susan O'Brien, and  
14 I'm a professor of medicine in the Division of  
15 Hematology and Oncology at the University of  
16 California Irvine. I am a paid consultant to the  
17 sponsor, but I have no financial interest in the  
18 outcome of this meeting.

19 Chronic lymphocytic leukemia is the most  
20 frequent type of leukemia in Western countries. It  
21 typically occurs in elderly patients and has a  
22 highly variable clinical course. It's

1 characterized by the progressive accumulation of  
2 monoclonal B lymphocytes in blood, bone marrow, and  
3 lymphoid tissue. Due to the chronic nature of the  
4 disease, the prevalence of CLL in the U.S. is high.  
5 Because cure is not possible in the vast majority  
6 of patients with CLL, they will be treated with  
7 multiple agents over the course of their lifetime.  
8 As such, there continues to be an unmet medical  
9 need for safe and effective therapies.

10 The median age of diagnosis of CLL is  
11 70 years, which itself presents challenges in the  
12 treatment of this disease due to comorbidities and  
13 concomitant medications. The disease is  
14 heterogeneous. Immunosuppression is inherent in  
15 the disease state, and it can lead to frequent  
16 infections. In addition, the complexities of using  
17 some agents -- in particular, venetoclax -- may  
18 lead to differences in the treatment algorithm  
19 between that seen in academic centers versus  
20 community locations.

21 This placebo data from the German CLL trial  
22 that randomized high-risk CLL patients to early



1 intervention with ibrutinib or placebo helps us  
2 understand the background complications that occur  
3 just from the presence of CLL in an elderly  
4 population. You see a high number of grade 3 or  
5 greater adverse events, and even fatal AEs on this  
6 placebo arm of the trial. Note that the majority  
7 of patients experienced an infection and that  
8 grade 3 or higher infections occurred in 14 percent  
9 of patients on no therapy. I think this paints a  
10 very vivid picture of the complexities of dealing  
11 with CLL patients and how different they are from  
12 patients with low-grade lymphomas.

13           Important progress has been made in the  
14 understanding of the biology of CLL with novel  
15 agents developed that target key components of the  
16 B-cell receptor pathway, namely BTK and PI3K.  
17 Another target is bcl-2, with venetoclax being the  
18 only approved bcl-2 inhibitor. Despite major  
19 advances with these novel targeted agents, CLL  
20 remains largely incurable. Currently, idelalisib  
21 and duvelisib are the only PI3K inhibitors approved  
22 for the treatment of CLL.

1           A unique aspect of CLL is that we only start  
2           treating patients when they become symptomatic.  
3           The considerations for first-line therapies are  
4           shown here. The selection of therapy in the  
5           relapsed setting is largely dictated by the  
6           treatment received in the first line. Therapy is  
7           employed only once patients are symptomatic.

8           One could reuse chemoimmunotherapy for a  
9           patient with a long PFS, but given at this point  
10          patients are older and they have more  
11          comorbidities, one would generally choose between a  
12          BTK inhibitor or venetoclax. After a BTK inhibitor  
13          discontinuation due to intolerance, one could try  
14          an alternate BTK inhibitor or change to venetoclax.  
15          For patients that progress, one would generally  
16          consider the alternate agent.

17          Currently available PI3Ks, including  
18          duvelisib, are an effective option for  
19          third-line-plus therapies for patients who've  
20          exhausted other options. There is not an  
21          insignificant number of patients that will reach  
22          this point in therapy, with about 13,000 estimated

1 in 2021.

2 Not all patients tolerate BTK inhibitors.  
3 Common reasons for discontinuation include  
4 cardiovascular complications, bleeding, and even  
5 sudden death. In addition, patients with known  
6 cardiovascular risk factors are not good candidates  
7 to even start BTK inhibitor therapy. Venetoclax  
8 requires intensive tumor lysis monitoring, in some  
9 cases requiring hospitalization, and it's  
10 particularly risky in elderly patients with bulky  
11 disease and renal insufficiency.

12 Most patients reaching third-line-plus  
13 therapy will have already seen a BTK inhibitor or a  
14 bcl-2 inhibitor, likely in combination with an  
15 anti-CD20 monoclonal antibody. For these patients,  
16 PI3K inhibitors, especially monotherapy with  
17 duvelisib, fills an important medical need.

18 I think the most clinically relevant  
19 difference is that duvelisib is the only PI3K  
20 approved as a single agent for relapsed/refractory  
21 CLL. Idelalisib is only approved in combination  
22 with rituximab. This is particularly important

1 during COVID, as we know that monoclonal antibodies  
2 significantly reduce the CLL patient's ability to  
3 respond to vaccines. In addition, most patients  
4 have almost certainly received monoclonal  
5 antibodies with prior therapy.

6           Importantly, the updated NCCN guidelines for  
7 CLL, which just came out in August of this year,  
8 recommend PI3K inhibitor regimens in third line for  
9 patients who are relapsed or refractory after  
10 treatment with both prior BTK inhibitors and  
11 venetoclax.

12           As you saw when I showed you the infection  
13 rates on the placebo arm of the CLL 12 trial on  
14 slide 4, serious infections are common in CLL  
15 patients with or without any therapy. All  
16 available therapies in the relapsed/refractory  
17 setting have shown significant rates of serious  
18 infections, and infections with duvelisib clearly  
19 fall well within this range.

20           Some real-world data presented at ASH in  
21 2021 illustrates the high discontinuation rates for  
22 patients treated with either a BTK inhibitor or

1       venetoclax, and notably, the most common reason for  
2       discontinuation with either class of agents was  
3       toxicity. These data also show that venetoclax is  
4       being used in only 13 percent of CLL patients  
5       across all lines of therapy. Thus, these data  
6       clearly illustrate the medical need that's filled  
7       by PI3K inhibitors.

8               The prognosis is especially dismal for CLL  
9       patients who are resistant or refractory to both  
10       BTK inhibitors and venetoclax. In a recent  
11       retrospective study, the median overall survival in  
12       patients who progressed after both a BTK inhibitor  
13       and venetoclax was 3.6 months with a 95 percent  
14       confidence interval of 2 to 11 months.

15              The KM plot showed poor survival in  
16       double-resistant patients regardless of whether a  
17       BTK-i or venetoclax was used first. As more  
18       patients are now treated with venetoclax and BTK  
19       inhibitors, at some point in their treatment, the  
20       clinical problem of patients' resistant to both  
21       disease classes are already being encountered with  
22       increasing frequency.

1 Duvelisib received full approval in 2018 on  
2 the basis of a significant and clinically  
3 meaningful benefit in PFS in the phase 3 DUO study  
4 in comparison with ofatumumab. Full approvals for  
5 all new drugs for CLL have been on the basis of PFS  
6 because we know that PFS is associated with  
7 clinically meaningful benefit, including symptom  
8 resolution. This is particularly relevant in CLL,  
9 where asymptomatic patients are not generally  
10 treated.

11 This endpoint has applied to all targeted  
12 therapies, including BTK inhibitors, venetoclax,  
13 and the PI3K inhibitors. Duvelisib's approval is  
14 thus not unique in this setting. Note, the  
15 comparator arm for full approvals in CLL has most  
16 commonly been a single-agent antibody or  
17 chemoimmunotherapy.

18 Both idelalisib and rituximab, as well as  
19 duvelisib, have boxed warnings for immune-mediated  
20 adverse events, including fatal and/or serious  
21 infections, diarrhea or colitis, and pneumonitis.  
22 However, the label for idelalisib includes

1 additional boxed warnings for hepatotoxicity and  
2 intestinal perforation, and the one for duvelisib  
3 includes an additional boxed warning for cutaneous  
4 rash. Given the availability of these agents over  
5 a span of 4 to 7 years, physicians are well aware  
6 of these risks and are able to adequately manage  
7 them. Overall, there's a positive benefit-risk  
8 ratio for PI3K inhibitors in the third-line-plus  
9 CLL setting, where options are quite limited.

10 To summarize, CLL is generally an incurable  
11 disease, and most patients will wind up being  
12 treated with multiple agents, eventually becoming  
13 relapsed or refractory to BTK inhibitors, or  
14 venetoclax, and the anti-CD20 monoclonal  
15 antibodies. Thirteen thousand patients received  
16 third-line therapy in 2021. Younger patients, who  
17 would otherwise live a long time, will eventually  
18 become resistant to BTK inhibitors and venetoclax.

19 Duvelisib has been fully approved for more  
20 than four years, and is the only PI3K inhibitor  
21 option approved as monotherapy, filling an  
22 important medical need for non-overlapping

1 mechanisms of action in the third-line setting.  
2 The efficacy of duvelisib is uncontroversial, and  
3 no new evidence has called this into question.  
4 Duvelisib provides patients with clinically  
5 meaningful benefits and significantly prolonged  
6 remissions, and serves an important role in the  
7 armamentarium to treat CLL patients.

8 I will now turn it over to Dr. Davids.

9 **Applicant Presentation - Matthew Davids**

10 DR. DAVIDS: Thank you, Dr. O'Brien.

11 My name is Matthew Davids. I'm an associate  
12 professor of medicine at Harvard Medical School and  
13 director of clinical research for the Division of  
14 Lymphoma at Dana-Farber Cancer Institute. I'm a  
15 paid consultant to the sponsor, but I have no  
16 financial interest in the outcome of this meeting.  
17 I will be describing the efficacy and safety of  
18 duvelisib from the phase 3 DUO trial on which I was  
19 an investigator.

20 The dose rationale for DUO came from a  
21 comprehensive phase 1 dose-ranging study with an  
22 expansion cohort; 75 milligrams BID was determined



1 to be the MTD. The 25-milligram BID dose, a third  
2 of the MTD, was selected because it was better  
3 tolerated and similarly active.

4 The phase 3 DUO trial included patients with  
5 active CLL or SLL who had progressed or relapsed  
6 after one or more prior lines of therapy, were not  
7 refractory to ofatumumab, and had no prior exposure  
8 to a PI3K inhibitor or a BTK inhibitor. Patients  
9 were randomized to continuous therapy with oral  
10 duvelisib monotherapy until time of progression or  
11 unacceptable toxicity, or to a 6-month IV course of  
12 the anti-CD20 monoclonal antibody, ofatumumab.

13 The primary endpoint of the study was PFS by  
14 independent review in an ITT analysis set, with a  
15 variety of secondary endpoints. The primary  
16 analysis of DUO was conducted in May of 2017 with a  
17 final database lock in January of 2021. 319  
18 patients were randomized with 160 in the duvelisib  
19 and 159 in the ofatumumab arm.

20 At the interim analysis, 124 patients, or  
21 78 percent, of the duvelisib patients had  
22 discontinued therapy, and only 34 were still on

1 treatment. In contrast, 100 percent of the  
2 ofatumumab patients had discontinued therapy. Of  
3 these, approximately two-third of patients  
4 discontinued therapy as planned after the 6-month  
5 treatment course, and the next most common reason  
6 for discontinuation was disease progression. As  
7 discussed before, ofatumumab patients were followed  
8 for PFS and OS, but safety data were not collected  
9 following 30 days after last dose.

10 It is crucial to understand the final  
11 analysis of DUO included additional safety data  
12 from only the 34 duvelisib patients who had ongoing  
13 treatment at the time of approval and additional  
14 PFS and OS follow-up for both arms. Patients who  
15 remained on duvelisib at time of final analysis  
16 were given the option to participate in an extended  
17 access program.

18 After confirmed progression, patients were  
19 permitted to enroll in a crossover extension study,  
20 as shown in more detail on the next slide. A total  
21 of 74 patients progressed on the duvelisib arm; of  
22 those, only nine crossed over to ofatumumab, shown

1 here in gray on the right. In contrast, most who  
2 progressed on ofatumumab, 90 of the 101 patients,  
3 crossed over to duvelisib, shown in the dark blue.  
4 As you will hear from Dr. Sidransky, this  
5 difference in crossover has impacted the overall  
6 survival analysis.

7 In DUO, baseline demographics were well  
8 matched between the treatment groups, both in the  
9 ITT population shown on the left and in the labeled  
10 indication of two or more prior lines of therapy,  
11 on the right. The median age was around 68 to  
12 70 years with a male predominance and mostly good  
13 performance status.

14 About 20 to 30 percent of patients had  
15 high-risk disease with deletion 17p or  
16 TP53 mutation. About half entered the study with  
17 high tumor burden, including a high absolute  
18 lymphocyte count and bulky lymph node disease.  
19 There was a median of 2 prior lines of therapy in  
20 the ITT population and 3 prior lines of therapy in  
21 the labeled indication. The study also included  
22 patients who were refractory or had early relapse

1 after their prior line of therapy.

2 Most patients had prior chemoimmunotherapy,  
3 and about 80 percent of patients had prior  
4 anti-CD20 based therapy. The primary endpoint was  
5 PFS by a blinded independent review committee. On  
6 the left, you can see in the ITT analysis that the  
7 PFS for duvelisib was superior to ofatumumab, with  
8 a hazard ratio of 0.52. In the labeled indication  
9 on the right, there was an even greater benefit for  
10 duvelisib over ofatumumab, with a hazard ratio of  
11 0.40.

12 This forest plot shows that PFS consistently  
13 favored duvelisib across several different  
14 prespecified subgroups, whether by cytogenetics or  
15 other clinical characteristics. Overall response  
16 rate was significantly higher in the ITT and  
17 labeled indication on the duvelisib arm, 78 percent  
18 shown in blue, compared to the ofatumumab arm,  
19 38 percent in gray. In this subgroup analysis of  
20 overall response, we again see that, as with PFS,  
21 all the different prespecified subgroups favored  
22 duvelisib, including cytogenetics or clinical

1 characteristics.

2 In the crossover study, specifically in the  
3 90 patients who were treated with duvelisib after  
4 crossing over from ofatumumab, the overall response  
5 rate was 77 percent, and this rate was equivalent  
6 in patients with high-risk deletion 17p or mutant  
7 TP53 and, importantly, in patients who were  
8 refractory to prior ofatumumab during the parent  
9 study.

10 Median PFS for patients who crossed over  
11 from ofatumumab to duvelisib was about 15 months in  
12 all patients who crossed over, in blue, as well as  
13 in those at high risk, in green. Recall that the  
14 PFS in the ofatumumab arm was 9.4 months in the  
15 parent study. These data show that even after  
16 failing prior ofatumumab therapy, duvelisib  
17 provided clinically meaningful efficacy benefits.

18 Now let's discuss the safety analyses from  
19 the DUO study. Safety data were collected during  
20 the time on treatment and for 30 days post-final  
21 dose. This means that for the ofatumumab arm,  
22 there was no safety data collection after a maximum

1 of 6 months plus 30 days. After this point,  
2 additional CLL-related AEs are only accumulating in  
3 the patients on duvelisib treatment and are not  
4 being recorded in the ofatumumab arm. Recall from  
5 Dr. O'Brien's presentation that patients with CLL  
6 have a high rate of background AEs, even in the  
7 absence of treatment.

8           During the 24-week period after the first  
9 dose, shown on the left, when both groups were  
10 still being monitored for toxicities, there were  
11 numerically higher rates of toxicities in duvelisib  
12 compared to ofatumumab, however, notably the rate  
13 of fatal AEs was equivalent. In the overall study  
14 period, on the right, the duvelisib arm had higher  
15 rates of treatment-emergent AEs, serious AEs,  
16 discontinuations, dose holds, and fatal AEs, as  
17 would be expected with longer follow-up and  
18 recording of events only on the duvelisib arm.

19           When looking specifically at the first  
20 24 weeks, higher rates of diarrhea and slightly  
21 higher rates of neutropenia and pyrexia were  
22 observed with duvelisib compared to ofatumumab. As

1 I'll show you, these differences are less than when  
2 we look across the entire study period.

3 Most common toxicities for duvelisib are  
4 represented here, all grades on the left and  
5 grade 3 or higher on the right. There were  
6 slightly higher rates of hematologic toxicities  
7 with duvelisib compared to ofatumumab, but overall,  
8 these rates were similar. The most significant  
9 differences were in diarrhea, pyrexia, nausea, and  
10 pneumonia, which were higher with duvelisib  
11 compared to ofatumumab. Note that these are the  
12 known AEs of duvelisib at the time of approval,  
13 which are reflected in the current USPI.

14 Diarrhea, neutropenia, colitis, and  
15 pneumonia were the most common AEs leading to dose  
16 hold or dose reduction in the duvelisib arm, and  
17 note that there are many more dose holds than dose  
18 reductions. Similarly, diarrhea, colitis, and  
19 pneumonia were the most common AEs leading to  
20 treatment discontinuation in the duvelisib arm, and  
21 again, these are the known AEs, which are reflected  
22 in the current USPI for duvelisib.

1           As mentioned before, the final analysis  
2 included additional follow-up data only from  
3 34 patients, those who had undergone treatment at  
4 duvelisib at the time of the primary analysis. As  
5 you can see, even with a maximum duration of  
6 exposure to duvelisib of 311 weeks, or nearly  
7 6 years, there was minimal change in the rates of  
8 AEs, indicating clearly that there were no new  
9 safety concerns. Note that these are the known AEs  
10 of duvelisib at the time of approval, which are  
11 reflected in the current USPI and do not represent  
12 new evidence pointing to additional toxicity.

13           In summary, duvelisib monotherapy resulted  
14 in a statistically significant and clinically  
15 meaningful improvement in PFS and ORR compared to  
16 ofatumumab in patients with relapsed or refractory  
17 CLL or SLL , including those with high-risk  
18 disease. This treatment effect was consistent  
19 across all prespecified subgroups.

20           A high proportion of patients responded to  
21 duvelisib treatment after crossover, and the safety  
22 profile of duvelisib is well characterized,



1 manageable, and reflected in the prescribing  
2 information. Importantly, there is no new safety  
3 evidence to support a change in the favorable  
4 benefit-risk profile since approval. Thank you,  
5 and I'll now turn the presentation back to  
6 Dr. Sidransky.

7 **Applicant Presentation - David Sidransky**

8 DR. SIDRANSKY: Thank you, Dr. Davids.

9 Overall survival in both the interim and  
10 final analysis is an exploratory secondary endpoint  
11 with no alpha allocation. First, the results must  
12 be interpreted with caution because of the  
13 extensive crossover to duvelisib. Almost all  
14 patients that were randomized to ofatumumab crossed  
15 over within 24 months of treatment to duvelisib.

16 Second, almost all patients were off study  
17 drug after 2020, making attribution of causality to  
18 randomized therapy through the 2021 database lock  
19 tenuous. The therapy they received after study  
20 drug was completely at random.

21 Third, the analysis will never be fully  
22 mature given the difficulty in maintaining

1 long-term follow-up on patients once they stop  
2 study drug. It is therefore not surprising that  
3 the OS results are essentially neutral, the null  
4 hypothesis at both the interim and final analyses.

5 Here again are the interim results at time  
6 of full approval. In the ITT analysis, the KM  
7 curves were overlapping, except at the very end  
8 where patients were long off the study drug. In  
9 the labeled indication, the curves began to  
10 separate within the first year and did not cross at  
11 the end. The hazard ratio was 0.82 for the labeled  
12 indication versus 0.99 for ITT, and the lower HR  
13 for OS in the indicated subgroup is directly  
14 consistent with the lower HR for PFS at that time.

15 Now let's look at the final analysis for the  
16 ITT population 2 and a half years after the drug  
17 was approved. Data lock occurred in January of  
18 2021. The curves remain very similar, and they're  
19 again overlapping, except at the very end where  
20 most patients were long off study drug. HR remains  
21 neutral at 1.09 with wide confidence intervals.  
22 The difference in the mean survival time is

1 12 days, however the extensive crossover impacts  
2 the ability to interpret the results, as you will  
3 see.

4 The figure is the reminder regarding a large  
5 and unbalanced crossover to duvelisib. The  
6 comparator arms in the analysis are representative  
7 by the two brackets essentially showing that we are  
8 comparing duvelisib with duvelisib post-ofatumumab.  
9 The much larger median duration of treatment on  
10 duvelisib impacted the accrual of AEs, as you heard  
11 from Dr. Davids' presentation, and it's also  
12 impacted the overall survival assessment, as you  
13 will see in the next slide.

14 The swimmer's plot illustrates the extensive  
15 crossover, with the end of the line representing  
16 death, the dots, or censoring. The blue lines  
17 represent treatment with duv [ph] and time of  
18 follow-up, and the red lines show treatment with  
19 ofa and the time of follow-up after last dose. The  
20 change in color indicates the time of crossover.

21 Look how similar these plots are overall for  
22 both arms. The figure on the left shows relatively

1 few patients crossed over to ofa with little  
2 overall exposure to ofatumumab. In contrast, the  
3 figure on the right shows the very large proportion  
4 of patients that crossed over to duv and the  
5 appreciable amount of time they remained on it, all  
6 blue. This extensive exposure to duv in the ofa  
7 arm limited the ability of the trial to demonstrate  
8 any difference in overall survival, and that does  
9 not support the conclusion that duvelisib has a  
10 detrimental impact on OS.

11 Now let's take a look at a comparison of the  
12 interim analysis, at left, and the final analysis  
13 on the right for the labeled indication. In the  
14 final analysis, the KM curves cross after about  
15 4 years, when essentially all patients be left on  
16 study in both arms are on duv. We know the  
17 patients that crossed over benefited from the  
18 prolonged PFS while on duvelisib. After failing  
19 duvelisib in the last year, patients were treated  
20 by physicians' choice of dozens of different  
21 regimens, further complicated by very few patients  
22 at risk and very few events in the tails of the

1 curves.

2 In summary, the final analysis of hazard  
3 ratios is inconclusive. The wide confidence  
4 interval indicates the lack of precision. The  
5 shift in the hazard ratios from the interim to  
6 final analysis likely reflects the instability of  
7 the estimate. In contrast, the mean survival time  
8 was generally stable between the interim and final  
9 analysis, with relatively small confidence  
10 intervals.

11 The differences in mean survival times are  
12 one month or less in both the ITT and the indicated  
13 population. Taken together, the mean survival  
14 times support the conclusion that duvelisib has a  
15 neutral impact on overall survival. The mean  
16 survival time may be more clinically meaningful and  
17 interpretable than the point estimate for the  
18 hazard ratio in this trial, especially in the  
19 indicated population where there was a crossing of  
20 the KM curves.

21 By the way, the criticism in FDA's briefing  
22 document regarding MST, or the mean survival time,

1 applied equally to the hazard ratio assessment, and  
2 that both have no alpha allocations and are  
3 dependent on truncation time, which is negligible  
4 here. Finally, as Dr. Davids showed you, patients  
5 who progressed on the ofa arm benefited from  
6 treatment with duv, limiting the ability to show a  
7 difference between the treatment arm.

8 At the final analysis, there were 10 more  
9 deaths in the duv arm compared with the ofa arm in  
10 the overall study population. In the labeled  
11 indication there was an imbalance of 3 deaths.  
12 Note that this imbalance is further explained by  
13 the depletion of susceptibles, as will be  
14 [inaudible - audio lost].

15 DR. GARCIA: Dr. Sidransky, I think you went  
16 off.

17 (Pause.)

18 DR. GARCIA: Dr. Chen, do we know if he got  
19 disconnected?

20 DR. S. CHEN: Hi, Dr. Garcia. Just a  
21 moment; we're checking. We'll let you know  
22 momentarily. Thank you.

1 DR. GARCIA: Thank you.

2 (Pause.)

3 DR. GARCIA: Dr. Sidransky, are you able to  
4 reconnect?

5 (No response.)

6 DR. O'BRIEN: This is Dr. O'Brien. If we  
7 don't have Dr. Sidransky able to connect, I could  
8 continue the presentation for him, if that would be  
9 useful.

10 DR. GARCIA: I don't see why not,  
11 Dr. O'Brien. If you feel comfortable presenting  
12 that section of survival and benefit-risk, I would  
13 be ok with that.

14 DR. O'BRIEN: Okay. And then if  
15 Dr. Sidransky gets back on, I can hand it back over  
16 to him.

17 DR. GARCIA: It should be fine.

18 Dr. Chen, is that acceptable for us to move  
19 forward that way?

20 DR. S. CHEN: Sounds good. Thank you.

21 DR. GARCIA: Alright. Perfect.

22 Susan, go ahead.

1 DR. O'BRIEN: Okay. If you don't mind, I'll  
2 start on this slide -- I'll start over again  
3 because I'm not sure where he dropped off.

4 So a higher number of deaths before  
5 progression were observed at the interim and final  
6 analysis in patients treated with duvelisib.  
7 Because disease progression occurred more often and  
8 more quickly on the ofatumumab arm, the number of  
9 patients on the ofatumumab arm who were susceptible  
10 to an event of death before progression rapidly  
11 became very small. This makes this analysis  
12 subject to the well-known depletion of susceptible  
13 bias.

14 Note that at the interim analysis, there  
15 were 12 deaths before progression on the ofa arm,  
16 and at the final analysis, there are still  
17 12 deaths before progression on the ofa arm. This  
18 is because very few patients remain  
19 progression-free on the ofa arm.

20 When interpreting these data, the depletion  
21 of susceptibles bias creates the illusion of a  
22 protective effect of ofa in preventing death before



1 progression, when we are actually observing a lack  
2 of efficacy of ofatumumab in preventing  
3 progression. In contrast, the increased number of  
4 deaths before progression on the duva [ph] arm is  
5 related to the fact that patients treated with  
6 duvelisib were on drug for much longer without  
7 progression.

8 DR. SIDRANSKY: Thank you, Dr. O'Brien. I  
9 can take it from here.

10 DR. O'BRIEN: Okay. Great.

11 DR. SIDRANSKY: The refractory subgroup was  
12 prespecified in the protocol and defined as  
13 patients who progressed within 12 months on  
14 chemotherapy. The KM curves in this subgroup began  
15 to separate around one year, and the hazard ratio  
16 was 0.77. The difference in mean survival time  
17 favored duvelisib by 6.2 months. While  
18 exploratory, these results support the hypothesis  
19 that in heavily pretreated or refractory disease,  
20 duvelisib has a positive rather than detrimental  
21 impact on survival.

22 The first two rows of the forest plot

1 demonstrate the FDA concerns related to the PI3K  
2 inhibitors in treatment-naive or mixed populations  
3 with hazard ratios to the right of 1. However,  
4 when comparing HRs across studies, it is important  
5 to consider both the disease and the patient  
6 population. When we conduct a fair comparison  
7 across phase 3 trials with PI3K inhibitors,  
8 specifically in relapse and refractory CLL  
9 patients, we see a trend towards favorable outcome.

10           Within the DUO trial, a trend in HR closer  
11 to UNITY is observed in the ITT and the labeled  
12 indication of patients who have received more than  
13 2 prior lines of therapy. The refractory  
14 subpopulations of DUO, which is similar to patients  
15 included in the 116 and 119 studies of idelalisib  
16 and rituximab, show comparable OS HRs. These data  
17 support the positive benefit-risk profile of PI3K  
18 inhibitors as the class in patients with refractory  
19 and relapsed CLL, and support a continued favorable  
20 benefit-risk profile of duvelisib monotherapy under  
21 conditions of use in the labeled indication.

22           The evidence presented to date does not

1 support a conclusion that duvelisib has a  
2 detrimental impact on overall survival. In the  
3 indicated population, the hazard ratio for OS was  
4 1.06 with a wide confidence interval and nearly  
5 identical to the OS rates at 3 years. The mean  
6 survival times are also comparable.

7 The KM curves are essentially superimposable  
8 and do not cross until after 45 months, which is  
9 well after most patients have discontinued  
10 treatment with either study medication. The  
11 analysis of OS is confounded by crossover and, as  
12 expected, overall suggests a neutral effect on  
13 survival.

14 Regarding risk management, the safety  
15 profile presented today is already included in the  
16 approved product label and boxed warning. The  
17 company continues to market the drug exclusively  
18 for patients with 2 prior lines of therapy. The  
19 communication REMS surveys demonstrate that  
20 physicians understand both the conditions of use  
21 and the risk of treatment. Ongoing  
22 pharmacovigilance activities do not indicate a

1 change in the risk profile since approval. And  
2 lastly, the company has submitted a prior approval  
3 supplement to include the updated OS data in the  
4 product label.

5 In conclusion, the totality of evidence  
6 demonstrates the safety profile of duvelisib is  
7 consistent with the safety at time of approval. In  
8 this regard, I would like to address the four key  
9 points in the FDA's introductory remarks. First,  
10 that fatal and serious toxicities observed at time  
11 of approval did not result in the decrement in OS  
12 over time. At interim, there was no suggestion of  
13 a harm in OS in the labeled indication, and at  
14 final analysis there was no detriment in OS. There  
15 was also no meaningful change in the profile of  
16 fatal toxicities over time.

17 Second, the increase differences in death  
18 due to AEs, including fatal infections, was  
19 expected as there were no remaining patients on  
20 ofatumumab treatment, and therefore additional  
21 deaths due to treatment-emergent AEs could not be  
22 accrued on the ofatumumab arm.

1           Third, the difference in death before  
2 progression, 31 versus 12, is expected and can be  
3 explained by significantly increased time on  
4 duvelisib without progression, and the number of  
5 deaths due to progression in the ofa arm, 12,  
6 remains unchanged from interim to final analysis,  
7 or the control arm consistent with the expected  
8 depletion of susceptible. Fatal adverse events  
9 post-crossover are expected and consistent with the  
10 current product label and background rates in  
11 elderly at-risk CLL patients.

12           With regards to benefit, there are  
13 statistically significant and clinically meaningful  
14 PFS benefits in the primary and the final analysis.  
15 The QoL data points to benefit and quality of life.  
16 There is no new evidence to support a change in  
17 benefit-risk for duvelisib since approval. The  
18 totality of data demonstrates a favorable  
19 benefit-to-risk profile for duvelisib, which is  
20 also consistent with that of another PI3K inhibitor  
21 approved in refractory and relapsed CLL,  
22 idelalisib, which remains on the market, indicating

1 that this benefit-risk profile is acceptable for  
2 patients with relapse and refractory CLL.

3 To our knowledge, the agency has not issued  
4 public safety alerts or taken other actions against  
5 the only other two approved agents in the class at  
6 this time. There is therefore no new evidence that  
7 demonstrates that the drug is not safe or effective  
8 under conditions of use. As you will hear further  
9 from Dr. Matt Davids, duvelisib remains an  
10 important treatment option for patients with  
11 relapsed and refractory CLL.

12 Dr. Davids?

13 (No response.)

14 DR. GARCIA: Dr. Davids, we cannot hear you.  
15 I don't know if you're in mute.

16 (No response.)

17 DR. DAVIDS: Sorry. Can you hear me now?

18 DR. GARCIA: Yes. Please go ahead.

19 **Applicant Presentation - Matthew Davids**

20 DR. DAVIDS: Thank you, Dr. Sidransky.

21 I'm happy to conclude with my clinical  
22 perspective. As you will hear, duvelisib is an

1 important treatment option for patients with  
2 relapsed or refractory CLL. This is a view that's  
3 shared by my CLL colleagues, as well as the largest  
4 CLL patient organization and the over 200 patients  
5 who submitted their comments for this meeting.

6 The DUO study demonstrates that duvelisib  
7 provides a clear PFS advantage over ofatumumab in  
8 patients with two or more prior lines of therapy.  
9 While there are well-recognized immune-mediated and  
10 infectious adverse events with duvelisib, our  
11 experience shows that these AEs are usually  
12 manageable through dose holds, reductions, and  
13 supportive care. As you heard, there is no  
14 difference in overall survival with longer term  
15 follow-up, as was expected with the crossover  
16 design of this study.

17 DUO had the same comparator arm in a similar  
18 design to RESONATE, the registrational trial for  
19 ibrutinib, which is the most commonly used drug for  
20 CLL. Overall, the DUO study demonstrates a  
21 positive benefit-risk balance.

22 So where do we use PI3K inhibitors like

1 duvelisib? The majority of CLL patients are  
2 treated with frontline BTK inhibitors, but  
3 eventually patients will either progress or become  
4 intolerant. Venetoclax based therapy is often used  
5 next. When they progress on venetoclax, PI3-kinase  
6 inhibitors like duvelisib become the best option.  
7 The small number of patients who begin with  
8 venetoclax eventually will progress and go on a  
9 BTK inhibitor in the second line. When they  
10 progress, PI3-kinase inhibitors like duvelisib are  
11 used. Duvelisib is also used when patients have  
12 intolerance to BTK inhibitors.

13 A minority of CLL patients are still getting  
14 frontline chemoimmunotherapy, and when these  
15 patients progress, they typically get  
16 venetoclax-based treatment, followed by a  
17 BTK inhibitor or vice versa. In subsequent lines,  
18 PI3-kinase inhibitors like duvelisib are the best  
19 option.

20 Despite the approval of many CLL agents,  
21 relapse and refractory patients often run out of  
22 safe and effective choices. The list noted in the



1 FDA briefing document includes drugs that are  
2 either historical and not used in practice or  
3 agents used in earlier lines of treatment, and  
4 resistance can ensue when reused in later lines, or  
5 also includes agents that are not approved in CLL.  
6 In contrast, duvelisib is the only monotherapy  
7 approved specifically in third-line CLL, and as  
8 recently as last month, it continues to be  
9 recommended in third line in the updated NCCN  
10 guidelines.

11 Turning now to the benefits of duvelisib,  
12 PFS is a particularly meaningful endpoint in CLL.  
13 Life-threatening infections are a hallmark of the  
14 disease and are commonly either a precursor to or a  
15 consequence of progression. Prolonging PFS often  
16 means delaying significant complications of the  
17 disease. In patients with two or more prior  
18 therapies, the PFS benefit for duvelisib was  
19 associated with a quality-of-life benefit, and  
20 importantly, prolonging PFS allows patients to  
21 bridge to novel and investigational agents.

22 Lastly, CLL patients are not necessarily

1 treated at the time of progression. At time of  
2 crossover, patients are already symptomatic, yet  
3 despite the differential crossover, PFS at final  
4 analysis remains markedly favorable for duvelisib.

5 I'd like to illustrate this concept with a  
6 couple of representative patient cases. The first  
7 is a 57 year-old firefighter with high-risk  
8 disease. After a year of observation, he received  
9 ibrutinib with a good response but progressed after  
10 about 6 years. He was switched to venetoclax and  
11 had a good response, but progressed again after  
12 about 2 years. He then received duvelisib and  
13 achieved a good quality partial remission. This  
14 served as a bridge to allogeneic transplantation  
15 about 9 months later, and he's now in complete  
16 remission over 3 years out from transplant.

17 This 75-year-old patient had a similar  
18 course, first with ibrutinib and then venetoclax,  
19 and had a good response to each, but progressed  
20 after 5 years. She was not a good candidate for  
21 aggressive approaches like allogeneic  
22 transplantation, so she was started on duvelisib

1 and had a good partial remission. This allowed her  
2 to attend her granddaughter's wedding. Now, after  
3 about one year, her CLL has begun to progress again  
4 on duvelisib, but she's optimistic about new  
5 investigational therapies on the horizon.

6 Now I'd like to discuss a letter regarding  
7 PI3-kinase inhibitors that the FDA submitted to  
8 Lancet Oncology, and it was published this summer.  
9 They noted that some randomized studies of  
10 PI3-kinase inhibitors had overall survival hazard  
11 ratios that were numerically in favor of control  
12 arms. It's important to recognize that none of  
13 those results were statistically significant.  
14 Several of my colleagues and I submitted a response  
15 to this letter. In it, we highlighted the  
16 transformative and life-saving results we've  
17 observed with these drugs over the last decade, and  
18 that there is no difference in overall survival  
19 across these studies.

20 The largest CLL patient organization, CLL  
21 Society, submitted the letter to the FDA in which  
22 they recognized the important role of PI3K-kinase

1 inhibitors, particularly in high-risk patients who  
2 have progressed on BTK inhibitors and venetoclax.  
3 There were 40 signatories of this letter,  
4 representing the consensus of many of America's  
5 leading investigators in CLL.

6 To conclude, the DUO trial confirmed a  
7 significant and clinically meaningful PFS  
8 advantage, with a manageable safety profile and no  
9 significant difference in overall survival, now  
10 with longer term follow-up. Many patients in our  
11 practices benefit from duvelisib, which is  
12 typically used as third-line therapy and may serve  
13 as a bridge to other therapies.

14 The CLL investigator and patient communities  
15 are united in support of this important option for  
16 relapsed CLL patients. The risk-benefit profile of  
17 duvelisib is favorable, and there is no evidence of  
18 change in the benefit-risk since approval. This  
19 concludes our presentation. Thank you for your  
20 time and consideration.

21 DR. GARCIA: Thank you, Dr. Davids.

22 We will now proceed with the FDA

1 presentation from Dr. Deepti Telaraja.

2 Dr. Telaraja?

3 **FDA Presentation - Deepti Telaraja**

4 DR. TELARAJA: Hi. Good morning. I'm  
5 Deepti Telaraja, a pediatric  
6 hematologist/oncologist in the Division of  
7 Hematologic Malignancies II, at the FDA. I will be  
8 presenting the FDA's discussion on the updated  
9 benefit-risk assessment of duvelisib in patients  
10 with relapsed or refractory chronic lymphocytic  
11 leukemia or small lymphocytic lymphoma. The  
12 members of the FDA review team are listed here. My  
13 presentation represents their collective input.

14 I would like to begin with a brief overview  
15 of the PI3K inhibitor class. Overactivation of the  
16 PI3K pathway is common in hematologic malignancies  
17 and results in dysregulated cell growth and  
18 survival. PI3K inhibitors are targeted  
19 immunomodulatory drugs, which inhibit different  
20 isoforms of PI3K. Based on the mechanism of action  
21 and the drug's effect on lymphocyte subsets,  
22 particularly T regulatory lymphocytes, the toxicity

1 profile is distinct.

2           The toxicities seen include infections and  
3 immune-mediated toxicities such as diarrhea or  
4 colitis, hepatotoxicity, pneumonitis, and rash. As  
5 shown in this schematic here, duvelisib is a dual  
6 delta and gamma PI3K inhibitor. The PI3K  
7 inhibitors that have received approval and the  
8 isoforms that they inhibit are also shown here.

9           The FDA discussion for today's ODAC will  
10 focus on the issues with duvelisib following a  
11 5-year overall survival analysis from the  
12 randomized DUO trial in patients with CLL and SLL.  
13 The central issues under discussion are the  
14 potential detriment in overall survival in patients  
15 treated with duvelisib, the toxicity and  
16 tolerability concerns, and concerns regarding the  
17 selected duvelisib dose of 25 milligrams.

18           This will be followed by an overview of the  
19 safety concerns with the PI3K inhibitor drug class,  
20 with potential detriments in overall survival seen  
21 across multiple randomized trials and the notable  
22 toxicity profile seen across the class. These

1 issues will conclude in a current benefit-risk  
2 evaluation of duvelisib for patients with relapsed  
3 or refractory CLL or SLL.

4 In September 2018, duvelisib was approved  
5 for patients with CLL or SLL, and follicular  
6 lymphoma at a dose of 25 milligrams BID. The FL  
7 indication was an accelerated approval based on a  
8 single-arm trial, however, due to inability for the  
9 sponsor to provide evidence for verification of  
10 clinical benefit, the FL indication was voluntarily  
11 withdrawn from the U.S. market in December 2021.  
12 The focus of the discussion today will be related  
13 to the indication in patients with CLL or SLL.

14 The approval of duvelisib for CLL or SLL was  
15 based on the DUO trial. This was an open-label  
16 trial that randomized patients with relapsed or  
17 refractory CLL or SLL after at least one prior line  
18 of therapy between duvelisib or ofatumumab, an  
19 anti-CD20 monoclonal antibody. The primary  
20 endpoint was progression-free survival per  
21 independent review committee, and key secondary  
22 endpoints were overall response rate and overall

1 survival. Of note, following IRC confirmed disease  
2 progression, crossover to the alternate treatment  
3 arm was allowed.

4 This table shows the efficacy data  
5 supporting the initial approval of duvelisib. In  
6 patients with two or more lines of therapy, a  
7 7-month improvement in median PFS was demonstrated  
8 on the duvelisib arm, with a hazard ratio of 0.4.  
9 There was also an improvement in overall response  
10 rate with an ORR of 78 percent on the duvelisib arm  
11 and 39 percent on the ofatumumab arm. At the time  
12 of initial approval, overall survival was immature,  
13 with a median of 24 months of follow-up. Median OS  
14 was not reached in either arm and the estimated  
15 hazard ratio was 0.82.

16 Due to significant toxicity and tolerability  
17 concerns, which I'll cover later in this  
18 presentation, several mitigation measures were  
19 implemented to manage the risks of treatment with  
20 duvelisib. These included a communication REMS and  
21 a boxed warning to address the risks of fatal  
22 and/or serious infections, diarrhea or colitis,



1 cutaneous reactions, and pneumonitis.

2 Due to the significant toxicity concerns and  
3 the immaturity of the overall survival data with  
4 the need for longer follow-up, two postmarketing  
5 requirements for safety were issued. The first was  
6 to characterize the safety of long-term treatment  
7 with duvelisib at a dose of 25 milligram BID across  
8 multiple studies, including the DUO trial. The  
9 second was to submit overall survival data from the  
10 DUO trial with 5 years of follow-up.

11 The first issue I'll discuss is the  
12 potential OS detriment seen in the duvelisib arm  
13 compared to the ofatumumab arm, based on the  
14 updated 5-year overall survival analysis. This  
15 potential detriment was seen both in the ITT  
16 population and the indicated population, those with  
17 two or more prior therapies.

18 This slide shows the updated overall  
19 survival data with 5 years of follow-up in the ITT  
20 population. There was a higher number of deaths  
21 observed on the duvelisib arm, with 80 deaths on  
22 the duvelisib arm versus 70 deaths on the

1 ofatumumab arm. There was an 11-month detriment in  
2 median overall survival, with an estimated hazard  
3 ratio of 1.09.

4 This slide shows the updated overall  
5 survival data with 5 years of follow-up in the  
6 indicated population, those with two or more prior  
7 therapies. Again, there were more deaths on the  
8 duvelisib arm; 53 versus 49 on the ofatumumab arm,  
9 with an estimated hazard ratio of 1.06. In the  
10 setting of a benefit in PFS and overall response  
11 rate, the potential detriment in overall survival  
12 in both the ITT and indicated populations indicates  
13 a primary safety concern with duvelisib and the  
14 potential for harm.

15 In both populations, there was a higher rate  
16 of death due to adverse events on the duvelisib  
17 arm. Fatal toxicities contributed to 14 percent of  
18 deaths on the duvelisib arm compared to 3 to 4  
19 percent on the ofatumumab arm, as shown in the  
20 table here.

21 This table shows the FDA adjudicated deaths  
22 due to adverse events in the safety population,

1 which is defined as deaths occurring within 30 days  
2 of the last dose of treatment or deaths with a  
3 causal relationship to study treatment. Infection  
4 was the greatest driver of deaths due to adverse  
5 events on the duvelisib arm, causing 9 percent of  
6 deaths as compared to less than 1 percent on the  
7 ofatumumab arm. The specific types of infections  
8 resulting in deaths on the duvelisib arm were  
9 primarily sepsis and pneumonia. The next most  
10 common category of deaths on the duvelisib arm was  
11 respiratory, which included deaths related to the  
12 known risk of pneumonitis and cases of respiratory  
13 failure with related infectious complications.

14 As mentioned previously crossover upon  
15 disease progression was permitted on the DUO trial.  
16 Fifty-seven percent of patients on the ofatumumab  
17 arm crossed over to receive duvelisib and 6 percent  
18 of patients on the duvelisib crossed over to  
19 receive ofatumumab. Because of the substantial  
20 crossover in the DUO trial, I'd like to take a  
21 moment to address the interpretation of overall  
22 survival data in the presence of crossover.

1           We acknowledge that crossover can impact the  
2 assessment of time to event endpoints, such as  
3 overall survival. Specifically, in the case of a  
4 drug with substantial and fatal toxicity such as  
5 duvelisib, crossover from the control arm to the  
6 investigational arm may result in harm to the  
7 control group. If the crossover results in  
8 additional overall survival events in the control  
9 group due to toxicity, this can actually mask a  
10 difference that would have favored the control arm  
11 in the absence of crossover. So for the DUO trial,  
12 where substantial crossover to the duvelisib arm  
13 occurred, the finding of a potential overall  
14 survival detriment with duvelisib, in spite of  
15 substantial crossover, is especially notable.

16           In order to characterize the impact of  
17 crossover in the DUO trial, we further analyzed  
18 patient-level data and performed additional  
19 statistical analyses. The data are consistent with  
20 the potential for harm and a potential detriment in  
21 overall survival.

22           In order to characterize the outcomes of

1 patients who crossed over to the alternate  
2 treatment arm, we assessed the number of deaths due  
3 to adverse events on each arm following crossover.  
4 In those who crossed over from duvelisib to  
5 ofatumumab, there were no deaths due to adverse  
6 events. In those who crossed over from ofatumumab  
7 to duvelisib, 10 percent of patients died due to  
8 adverse events. Again, the primary causes were  
9 fatal infections, including sepsis and pneumonia.  
10 The data shown here reinforces the concern for  
11 toxicity in patients treated with duvelisib.

12 In order to account for the effects of  
13 crossover on the OS results, the FDA performed  
14 sensitivity analyses using two different causal  
15 inference models. The results of both analyses, as  
16 shown in the table here, are consistent with the  
17 primary analysis of overall survival in the ITT  
18 population. Taken together, the primary analysis  
19 and the two sensitivity analyses demonstrate a  
20 consistent potential detriment in overall survival  
21 and support the potential for harm with duvelisib.

22 A consistent pattern for a potential

1       detriment in overall survival was also seen when  
2       evaluating the updated OS data in the prespecified  
3       subgroups. As shown in the forest plot here, the  
4       results in the majority of subgroups are consistent  
5       with those in the ITT population, again supporting  
6       the potential for harm.

7                It is worthwhile to note that in patients  
8       who were refractory to or had early relapse  
9       following purine analog-based treatment, a hazard  
10      ratio of 0.78 with a 95 percent confidence interval  
11      crossing 1 was seen. While subgroup analyses can  
12      be used to assess consistency of the treatment  
13      effect, they cannot be used to conclude a treatment  
14      benefit in a subgroup when the overall results are  
15      negative. Any findings of a potentially favorable  
16      effect in a subgroup would be considered  
17      exploratory, and the population of interest would  
18      require further study in a prospective trial.

19              Over the next few slides, I will present  
20      data related to the next two key issues, the  
21      toxicity concerns that could have potentially  
22      contributed to the overall survival results and

1 concerns with the selected dose of duvelisib.  
2 First, I'd like to note that the DUO trial was  
3 designed to evaluate fixed-duration therapy with  
4 ofatumumab versus continuous administration with  
5 duvelisib. Ofatumumab was given and completed by  
6 6 months per the approved labeling, and duvelisib  
7 was administered continuously until disease  
8 progression or unacceptable toxicity.

9 The median exposure duration for patients on  
10 the duvelisib arm was 12 months compared to  
11 5 months on the ofatumumab arm. Despite the  
12 variability in treatment duration, the results of  
13 this study represent the respective treatment  
14 regimens as they're intended to be administered.  
15 The results adequately qualify the risk of the  
16 treatment, particularly given that continuous  
17 treatment with duvelisib has a direct impact on the  
18 continued risk for toxicity.

19 This graph shows the safety results from the  
20 DUO trial, with the duvelisib arm represented in  
21 blue and the ofatumumab arm represented in green.  
22 Here, you can see that the rates of grade 3 or

1 greater toxicities, serious adverse events, and  
2 treatment modifications due to adverse events are  
3 all notably higher in the duvelisib arm.

4 The safety results from the DUO trial  
5 demonstrate that the PI3K-associated toxicities of  
6 an infection, neutropenia, diarrhea or colitis,  
7 increased AST or ALT, rash, and pneumonitis are  
8 driving the differences in safety between the  
9 treatment arms. As shown here, the incidence of  
10 pneumonitis or grade 3 or greater PI3K-associated  
11 toxicities, except for neutropenia, are 2 to  
12 3 times or more higher in the duvelisib arm  
13 compared to the control arm.

14 In general, the evaluation of tolerability  
15 of a drug can also be informed through collection  
16 of patient-reported outcomes or PROs. The FDA  
17 encourages sponsors to collect PROs through  
18 well-defined PRO measures that inform how patients  
19 are feeling and functioning. Well-defined PRO  
20 measures can inform dose selection, tolerability,  
21 and interpretation of safety information.

22 Unfortunately, in the DUO trial, the two



1 selected PRO measures and endpoints were not  
2 sufficient to detect meaningful differences between  
3 arms. The EQ-5D does not adequately capture  
4 important and relevant symptoms in the patient  
5 population, and the FACIT-F did not show  
6 improvement with duvelisib as compared to  
7 ofatumumab. Given the substantial tolerability  
8 issues, which I'll cover in the next slide, a more  
9 comprehensive approach to patient-reported symptoms  
10 may have been informative regarding the  
11 tolerability and dosing of duvelisib.

12 This table demonstrates the higher rates of  
13 treatment modification, including dose  
14 interruption, reduction, and discontinuation due to  
15 adverse events that occurred with duvelisib as  
16 compared to ofatumumab. It is worthwhile to note  
17 that 44 percent, nearly half, of patients on the  
18 duvelisib arm discontinued treatment because of  
19 toxicity. This raises concerns about the  
20 tolerability of duvelisib and, along with the  
21 updated overall survival information, warrants an  
22 updated evaluation of the selected dose of

1 25 milligrams.

2           The 25-milligram BID dose of duvelisib was  
3 primarily selected based on data from a dose  
4 escalation and expansion study that was designed to  
5 establish the maximum tolerated dose. Doses  
6 ranging from 8 to 100 milligrams were studied, and  
7 75 milligrams was identified as the MTD. Although  
8 activity was observed at the 15-milligram dose  
9 level, expansion was only conducted at the 25- and  
10 75-milligram dose level.

11           Data from the expansion cohorts indicated  
12 that overall response rate was comparable between  
13 the 25-milligram and 75-milligram BID doses,  
14 suggesting a saturation of effect at the  
15 25-milligram BID dose or below. 25-milligram BID  
16 was selected as the recommended phase 2 dose.

17           This table shows the summary of best overall  
18 response by dose level in the dose-finding study.  
19 Although the number of patients enrolled in some  
20 cohorts was quite limited, activity was observed at  
21 dose levels lower than 25-milligram BID, suggesting  
22 that lower doses may be efficacious.

1           The pharmacokinetic and pharmacodynamic  
2 analysis of biomarker data also support the  
3 findings of activity at lower doses of duvelisib.  
4 The figures on the left show the inhibition of  
5 phospho-AKT in tumor cells following a single dose  
6 in patients with CLL or SLL. The 25- and  
7 75-milligram doses both achieved near maximal  
8 suppression at 1 hour and 24 hours post-dose.  
9 Unfortunately, no other doses were tested.

10           The figure on the right shows an overlay of  
11 the EC50 value for phospho-AKT inhibition and the  
12 steady-state PK profiles of duvelisib at different  
13 dose levels. Duvelisib concentrations at the  
14 15-milligram dose level may be maintained above the  
15 EC50 throughout the dosing interval. Taken  
16 together, these analyses also suggest that lower  
17 doses may be efficacious.

18           For safety, there are exposure-response  
19 relationships observed with duvelisib, with higher  
20 exposure leading to higher rates of infection,  
21 pneumonia, and transaminase elevation. These were  
22 some of the most common toxicities leading to

1 treatment modification. The exposure-response for  
2 grade 3 and greater infection is shown on the slide  
3 here. With regards to efficacy in the DUO trial,  
4 no positive exposure-response relationship was  
5 observed for any efficacy endpoints, including  
6 overall response rate, PFS, or overall survival.

7 Taken together, there are significant safety  
8 concerns regarding the selected dose of  
9 25 milligrams, as indicated by the high rates of  
10 adverse events and dose modification observed in  
11 the DUO trial. In addition, the lack of  
12 exposure-response relationships for efficacy, the  
13 positive exposure-response relationships for  
14 safety, and the demonstrated clinical activity at  
15 doses lower than 25-milligram BID all suggest that  
16 lower doses may be efficacious with better  
17 tolerability. These lower dose levels have not  
18 been adequately evaluated and would require further  
19 exploration to define an optimized dose.

20 In summary, there are three major areas of  
21 concern with the data with duvelisib from the DUO  
22 trial: overall survival, increased toxicity, and

1 inadequate dose optimization. With respect to  
2 overall survival, the DUO trial demonstrated a  
3 higher rate of death and death due to adverse  
4 events on the duvelisib arm. The potential  
5 detriment in overall survival occurred in the  
6 setting of a benefit in PFS and overall response  
7 rate with duvelisib, indicating a primary safety  
8 concern.

9 With respect to increased toxicity, the  
10 duvelisib arm demonstrated a higher rate of grade 3  
11 or greater adverse events, serious adverse events,  
12 and treatment modifications, all of which were  
13 driven by infections and immune-mediated  
14 toxicities. And finally, with respect to dosing,  
15 the increased toxicity with duvelisib is correlated  
16 with several exposure-response relationships for  
17 safety and a lack of clear exposure-response  
18 relationships for efficacy. There was also limited  
19 dose exploration and dose optimization, which calls  
20 into question the acceptability of the selected  
21 dose in light of the updated OS data.

22 Next, I will present the relevant data and

1 discussions from the recent PI3K inhibitor ODAC.  
2 There are parallels between the overall survival  
3 and toxicity concerns with duvelisib and the  
4 concerns with the other drugs in the PI3K inhibitor  
5 class that have implications for the benefit-risk  
6 evaluation of duvelisib.

7 The key issues discussed at the PI3K  
8 inhibitor ODAC were concerning trends in OS across  
9 randomized-controlled trials of multiple  
10 PI3K-inhibitors, the high rates of toxicity seen  
11 across the class, and concerns about inadequate  
12 dose optimization. Additionally, the limitations  
13 of the ability for single-arm trials to support an  
14 assessment of benefit-risk for PI3K inhibitors were  
15 also discussed.

16 Shown here are the data that were presented  
17 at the PI3K inhibitor ODAC from 6 randomized-  
18 controlled trials, evaluating a PI3K inhibitor as  
19 monotherapy or in combination in patients with CLL  
20 or non-Hodgkin lymphoma. In these trials,  
21 potential detriments in overall survival were seen  
22 in the PI3K inhibitor arm compared to the control

1 arm. This pattern was even noted in the CHRONOS-3  
2 trial, which has an estimated hazard ratio of 0.87.

3 In the CHRONOS-3 trial, there was decreased  
4 overall survival in the first 2 years in the  
5 copanlisib arm, followed by a crossing of the  
6 Kaplan-Meier curves. This was coupled with a  
7 higher rate of fatal adverse events in the  
8 copanlisib arm, indicating a potential risk for  
9 early mortality.

10 While this overall survival information is  
11 early and represents a low number of events in some  
12 trials, we are observing the same pattern across  
13 multiple trials, where a favorable impact on  
14 efficacy endpoints such as PFS or overall response  
15 rate is then followed by a potential overall  
16 survival detriment. This indicates that the  
17 overall survival concerns are a primary safety  
18 concern and is supported by the higher rates of  
19 death due to adverse events and higher rates of  
20 toxicity seen in the PI3K inhibitor arm across  
21 trials. Notably, this finding across multiple  
22 randomized trials of one class of drug is

1       unprecedented in oncology.

2               Surrounding the PI3K inhibitor ODAC, actions  
3       were taken with multiple PI3K inhibitors:

4       duvelisib, idelalisib, umbralisib, and copanlisib.

5       These included voluntary withdrawal of existing  
6       approval and voluntary withdrawal of new drug  
7       applications or supplemental new drug applications.

8               The PI3K inhibitor class has demonstrated  
9       substantial toxicity that can be fatal or serious  
10       and are related to the mechanism of action of these  
11       agents. This table shows the incidence of the  
12       PI3K-associated toxicities for the drugs in this  
13       class that have been approved for hematologic  
14       malignancies when administered as monotherapy.

15       There's a high incidence of the respective grade 3  
16       or greater toxicities across the class, and as you  
17       can see here, many are especially notable with  
18       duvelisib. These significant toxicity findings  
19       reiterate the safety concerns with this drug class.

20               Dose modification data from these PI3K  
21       inhibitors also suggests consistent tolerability  
22       concerns across the class. Again, the tolerability



1 profile of duvelisib is especially notable.  
2 Because of toxicity, a substantial number of  
3 patients discontinued treatment or required dose  
4 reduction or interruption.

5 The discussion at the PI3K inhibitor ODAC  
6 was robust and very insightful regarding this class  
7 of products. The committee all agreed that the  
8 data with the PI3K inhibitor class was concerning.  
9 The main reasons cited were the lack of adequate  
10 dose finding, the notable toxicity profile and  
11 tolerability concerns, chronic administration of  
12 these agents, and the concerning pattern of PFS  
13 benefits that were later followed by OS detriment.

14 The committee agreed that OS is the  
15 paramount endpoint and that it informs  
16 benefit-risk, especially in the setting of  
17 substantial toxicity. Most importantly, the  
18 committee reiterated how crucial a benefit-risk  
19 assessment is and the need for adequate data to  
20 ensure that the drug is safe and effective, and to  
21 rule out potential for harm so that we may  
22 effectively care for patients with cancer.

1           Now I'll turn to a current benefit-risk  
2 evaluation for duvelisib. The reason we are here  
3 today is to discuss the current benefit-risk  
4 profile of duvelisib for patients with relapsed or  
5 refractory CLL or SLL after at least 2 prior lines  
6 of therapy. First, it is important to note that  
7 the assessment of benefit-risk is continuously  
8 assessed as new information becomes available. The  
9 5-year overall survival data from the DUO trial has  
10 prompted this updated assessment.

11           I would also like to highlight some key  
12 considerations about overall survival as an  
13 endpoint. Overall survival is considered the most  
14 reliable cancer endpoint. It is an objective  
15 measure of clinical benefit and is considered both  
16 a safety and an efficacy endpoint. An evaluation  
17 of toxicity is embedded in the assessment of  
18 overall survival, including the ability to assess  
19 short- and long-term toxicity.

20           Further, the same degree of statistical  
21 considerations that apply when overall survival is  
22 used as a primary efficacy endpoint do not apply

1 when overall survival is used as a safety endpoint.  
2 And lastly, the FDA requires overall survival  
3 information in any trial that uses a primary PFS  
4 endpoint in order to inform benefit-risk.

5 The importance of overall survival from the  
6 DUO trial is further highlighted because the 5-year  
7 overall survival analysis was issued as a  
8 postmarketing requirement. As previously  
9 mentioned, the reason for issuing this PMR was due  
10 to the concerns regarding fatal and serious  
11 toxicity and due to immature overall survival data  
12 at the time of initial approval.

13 Because of the importance of overall  
14 survival outcomes to patients, following the PI3K  
15 inhibitor ODAC and FDA's assessment of the updated  
16 5-year OS data with duvelisib, an FDA safety alert  
17 was issued on June 30th. This was intended to  
18 alert patients and healthcare providers of the  
19 potential risk associated with the use of duvelisib  
20 so that they could weigh the benefit and risk of  
21 continuing duvelisib under the approved indication  
22 and make an informed treatment decision. The alert

1 also noted that the information with duvelisib  
2 would be discussed in a future public meeting.

3 As discussed throughout the presentation,  
4 the primary issues to be considered in a current  
5 assessment of benefit-risk for duvelisib include  
6 the following: a potential detriment in overall  
7 survival in the setting of a benefit in PFS and  
8 overall response rate, indicating a safety concern;  
9 a higher rate of death due to adverse events with  
10 duvelisib; fatal adverse events in patients who  
11 crossed over from ofatumumab to receive subsequent  
12 treatment with duvelisib; OS sensitivity analyses  
13 supportive of the primary overall survival results,  
14 indicating the potential for harm; substantial  
15 toxicity and poor tolerability driven by the  
16 PI3K-associated toxicities of infection and immune-  
17 mediated adverse events; concern with the currently  
18 selected dose and limited dose exploration; and  
19 finally, relevant findings in the PI3K inhibitor  
20 class, with multiple randomized trials  
21 demonstrating a potential detriment in OS and  
22 substantial toxicity in the PI3K inhibitor arm.

1           Based on the availability of new information  
2 from the updated 5-year OS analysis, an updated  
3 benefit-risk assessment of duvelisib in the current  
4 disease and treatment context is warranted. The  
5 sponsor asserts that in spite of the updated OS  
6 data suggesting the potential for harm, duvelisib  
7 may still be relevant for those in the indicated  
8 population, those with two or more prior therapies.

9           The FDA would like to highlight some key  
10 considerations that call into question the  
11 applicability of the results from the DUO trial to  
12 the current U.S. patient population and treatment  
13 landscape for CLL or SLL. First, the DUO trial  
14 excluded patients with prior BTK inhibitor exposure  
15 given the commonality in targeting the B-cell  
16 receptor pathway. Also, no patients received prior  
17 bcl-2 inhibitor therapy, as the DUO trial was  
18 initiated and conducted prior to the time  
19 venetoclax was approved for patients with CLL or  
20 SLL.

21           Nevertheless, BTK inhibitors and venetoclax  
22 now represent the current standard of care, as

1 they've demonstrated survival advantages, and the  
2 majority of patients with CLL or SLL will receive  
3 one or more of these agents as a part of frontline  
4 or second-line treatment.

5 Next, there is some uncertainty about the  
6 generalizability to the U.S. population. Notably,  
7 only 16 percent of patients were enrolled in the  
8 U.S., and there was limited representation of  
9 racial and ethnic minorities, with the majority of  
10 patients being white.

11 The selected control arm of ofatumumab is  
12 also a consideration in evaluating the  
13 generalizability of the DUO trial data to the  
14 current U.S. population. Ofatumumab as a single  
15 agent anti-CD20 monoclonal antibody has limited use  
16 in the treatment of patients with relapsed or  
17 refractory CLL in the U.S. Notably, the most  
18 recent version of the NCCN guidelines for CLL has  
19 removed ofatumumab as a recommended treatment  
20 option because of limited clinical use and  
21 availability.

22 It is also important to note that the

1 diseases under consideration, CLL and SLL, are  
2 indolent diseases with a long natural history and  
3 where presence of disease or progression alone  
4 isn't necessarily an indication for treatment. Per  
5 the IWCLL guideline, the indication for treatment  
6 in the first line and beyond is based on active  
7 disease, which includes specific criteria such as  
8 disease-related symptoms and progressive marrow  
9 failure.

10 Taking these points into consideration, the  
11 modest PFS benefit with duvelisib in the DUO trial  
12 may not translate to meaningful clinical benefit in  
13 patients with CLL or SLL, based on the indolent  
14 nature of the disease and in light of the OS data,  
15 suggesting the potential for harm. This further  
16 highlights the need for a comprehensive updated  
17 benefit-risk assessment of duvelisib, a drug with  
18 substantial toxicity concerns and a high rate of  
19 fatal adverse events, in order to ensure that we  
20 are not causing harm to patients.

21 It is also important to consider the  
22 currently available therapies when performing our

1 current benefit-risk assessment of duvelisib.  
2 Patients with CLL or SLL have multiple effective  
3 therapies with known efficacy and safety. The FDA  
4 approved treatments for patients with CLL and  
5 indolent non-Hodgkin lymphoma are shown in the  
6 table here.

7 As discussed, with the evolution of the CLL  
8 and SLL treatment landscape in recent years, BTK  
9 inhibitors and the bcl-2 inhibitor of venetoclax  
10 are standard of care in the front line and beyond.  
11 Given that there are no data evaluating the  
12 efficacy of duvelisib in patients who received a  
13 prior BTK inhibitor or bcl-2 inhibitor, any  
14 potential for benefit in the current population of  
15 patients requiring third-line therapy and beyond is  
16 uncertain.

17 When taken into context of the key issues  
18 that have been outlined throughout this  
19 presentation, this uncertainty regarding its  
20 relevance to current patients with relapsed or  
21 refractory CLL or SLL is a critical consideration  
22 in the current benefit-risk assessment of



1 duvelisib.

2           So in conclusion, duvelisib has demonstrated  
3 a potential detriment in overall survival in  
4 patients with CLL or SLL, which is consistent with  
5 the findings of other randomized trials of PI3K  
6 inhibitors. Duvelisib has also demonstrated  
7 excessive toxicity and poor tolerability compared  
8 to the control arm of ofatumumab.

9           Finally, the limited dose exploration  
10 coupled with the significant tolerability concerns  
11 calls into question the acceptability of the  
12 selected dose. With the availability of the  
13 overall survival analysis with 5 years of  
14 follow-up, an updated assessment of benefit-risk of  
15 duvelisib in patients with relapsed or refractory  
16 CLL or SLL after two or more lines of therapy is  
17 warranted.

18           We would like the committee to discuss the  
19 benefit-risk profile of duvelisib for the currently  
20 indicated population considering the updated  
21 results of the DUO trial. The voting question for  
22 the committee is, given the potential detriment in

1 overall survival, duvelisib-associated toxicity,  
2 concerns with the selected dose, and the safety  
3 issues with the PI3K inhibitor class, is the  
4 benefit-risk profile of duvelisib favorable in  
5 patients with relapsed or refractory CLL or SLL  
6 after at least 2 prior therapies?

7 Thank you. This concludes my presentation.

8 **Clarifying Questions to Presenters**

9 DR. GARCIA: Thank you, Dr. Telaraja.

10 We will now take clarifying questions for  
11 the presenters, Secura Bio, Inc. and the FDA.  
12 Please use the raise-hand icon to indicate that you  
13 have a question, and remember to clear the icon  
14 after you have asked your question. When  
15 acknowledged, please remember to state your name  
16 for the record before you speak and direct your  
17 question to a specific presenter, if you can. If  
18 you wish for a specific slide to be displayed,  
19 please let us know the slide number, if possible.

20 Finally, it would be helpful to acknowledge  
21 the end of your question with a thank you and end  
22 of your follow-up question with, "That is all for

1 my questions," so we can move on to the next panel  
2 member.

3 Perhaps I can start with a question to the  
4 applicant, and I apologize for my ignorance. I  
5 want to get a bit more understanding as to  
6 what -- I mean, I get a sense that you do not,  
7 based upon your presentation, number one, agree  
8 with the FDA, and number two, don't believe, based  
9 upon the data that you presented, that there is  
10 survival detriment based upon the data. And it  
11 appears that you think that it's related to the  
12 crossover effect, ofa to duve [ph], if you will.

13 But I want to get a bit more, perhaps,  
14 statistical understanding as to what the depletion  
15 of susceptibles really mean, and if you can dumb it  
16 down for me as to how do I interpret that, and how  
17 do I actually think of it when I look at the data.  
18 Thank you.

19 DR. SIDRANSKY: Yes. Indeed, I think that  
20 those are the key points I think related directly  
21 to the issue of whether there's an overall survival  
22 detriment and the overlapping Kaplan-Meier curves,

1 which I think, really taking us back, says it all.  
2 One of my professors always told me that you don't  
3 need statistics to tell you there's a difference;  
4 you need it tell you what the difference is. And I  
5 think that we can start from there and then move to  
6 this very important question about the depletion of  
7 susceptibles and the consequence of the crossover.

8 We have two great statisticians with us.  
9 Let me turn it over to Dr. Wei to help explain  
10 that.

11 DR. WEI: Yes, sir. Thank you. This is  
12 Lee-Jen Wei. I'm a professor of biostatistics at  
13 Harvard University. I am a consultant to the  
14 sponsor today.

15 The depletion process is a very interesting  
16 phenomenon, and let me just give you one example.  
17 If you think about the DUO trial and duve arm, the  
18 progression time is much longer than ofa. So think  
19 about after randomization, it takes about a  
20 one-year time point, then ask yourself, do you  
21 think the people that still have not progressed at  
22 this point, are they comparable anymore? The

1 answer is no because in the ofa arm, you have a lot  
2 of patients depleted because of progression.

3 This is a well known fact. After  
4 randomization, if the treatment effect is very  
5 good -- for example, in this case, pretty dramatic  
6 with respect to progression, then we don't have  
7 this comparability anymore. So anything we talk  
8 about after crossover, what is the effect, that's  
9 not an unbiased way to look at the data anymore.

10 This is well known beyond the so-called  
11 ascertainment bias. Ascertainment bias means you  
12 have a much longer time to observe deaths in the  
13 duve than the ofa. So let me stop here.

14 DR. SIDRANSKY: Thank you, Dr. Wei.

15 Dr. Makuch, do you have an additional  
16 comment to help maybe round out this question?

17 DR. MAKUCH: I have a few. I'll keep the  
18 remarks very brief. Robert Makuch, professor of  
19 biostatistics and director of the regulatory  
20 affairs program, Yale University. I'm a paid  
21 consultant to Secura.

22 Following up on what Dr. Wei said, I think

1 those are two very important issues. If you look  
2 at this from a very broad level, essentially we are  
3 trying to use the study design in the execution of  
4 the trial, which really must be considered when  
5 analyzing and interpreting the results. So the  
6 points that Dr. Wei just mentioned about  
7 ascertainment bias and depletion of susceptibles  
8 must be accounted for, as well as the significant  
9 crossover from ofa to the duve arm; there were 90  
10 versus only 9.

11 When one does that, I think that the primary  
12 focus of the analyses should be based on the  
13 earlier part of the Kaplan-Meier curves, where you  
14 do have the primary weight of evidence. And when  
15 you do look at all those data as opposed to just  
16 the summary statistics, whether it be a hazard  
17 ratio or a mean survival time, that there you can  
18 see that the two groups are essentially intertwined  
19 with one another during the earlier part of the  
20 curves, where there is the most significant weight  
21 of evidence. And again, looking at those curves  
22 further at the tail end, there is relatively few

1 patients, and we all know that there's a greater  
2 amount of variability at the tail of the curves,  
3 which then gives you lesser weight of evidence  
4 associated with those later time points.

5           So the summary is that there are issues  
6 specific to the design of this study and its  
7 execution that you should think about when  
8 interpreting the results, and especially when  
9 looking at all the data, and especially the earlier  
10 parts of the Kaplan-Meier curves. Thank you.

11           DR. WEI: Dr. Sidransky, if I may just add  
12 in a couple of comments here.

13           In fact, last July, last year, there was an  
14 advisory committee meeting by FDA, and we had the  
15 same phenomenon we observed, this depletion  
16 problem, and the FDA statistician very nicely  
17 presented the ITT analysis, and also another one  
18 called on-treatment analysis, based on, for  
19 example, the number of deaths before progression.  
20 And he concluded very nicely that such analysis,  
21 based on this depletion process, is not valid.  
22 It's difficult to interpret. And also, a committee

1 member at that meeting, Professor Tom Cook from  
2 Wisconsin, also made a similar comment. So I just  
3 want to mention this is a well-known fact. It's  
4 not only unique for this DUO trial. Thank you.

5 DR. SIDRANSKY: Thank you very much.

6 DR. RICHARDSON: Hi. This is Nicholas  
7 Richardson from FDA. Can FDA comment on the  
8 question as well, please?

9 DR. GARCIA: Sure. Go ahead,  
10 Dr. Richardson.

11 DR. RICHARDSON: Thank you, Dr. Garcia.

12 A couple of points, one, we are not claiming  
13 that there is not a signal for efficacy here, and  
14 we discussed that there was a difference in  
15 progression-free survival and response rate. The  
16 item under discussion here today is overall  
17 survival and whether that represents a safety  
18 concern.

19 Now, in the allowance of crossover, we  
20 acknowledge that does impact the assessment of  
21 overall survival. The allowance of crossover does  
22 draw the hazard ratio toward 1, as indicated by the



1 sponsor, because the two treatment arms tend to  
2 become more similar. However, within the DUO  
3 trial, we're seeing a potential detriment in  
4 overall survival despite substantial crossover.  
5 And really what is informing this concern is that  
6 we have a higher rate of death due to toxicity in  
7 the duvelisib arm, and then in patients that  
8 crossed over from ofatumumab to duvelisib, we're  
9 also seeing patients that had a fatal toxicity.

10 So when you put the totality of safety data  
11 into account, even in the event of crossover, there  
12 is a substantial concern for a potential detriment  
13 in overall survival, and the crossover may be  
14 actually masking the magnitude of the difference in  
15 overall survival seen in the DUO trial.

16 With that, I'd like to just ask if  
17 Dr. Gormley could provide a further comment.  
18 Thanks.

19 DR. GORMLEY: Thank you, Dr. Richardson.

20 The sponsor has made a couple of statements,  
21 specifically, the overall survival findings don't  
22 support evidence of detriment, and suggestions that

1 there needs to be a statistically significant  
2 signal for detriment. Please note, the onus is not  
3 on the FDA to prove evidence of detriment; instead,  
4 there must be substantial evidence of safety and  
5 effectiveness, and the data that we have, that  
6 suggests a hazard ratio of 1.09, does not meet that  
7 standard.

8 With regards to the crossover specifically,  
9 as Dr. Richardson mentioned, the crossover by  
10 [indiscernible - audio distorted] -- here we're  
11 seeing a hazard ratio greater than 1. It could be  
12 even higher if there weren't crossover. And just  
13 to highlight this difference here, I think this  
14 really underscores that what we're seeing are  
15 concerning results with this trial.

16 The sponsor has mentioned several times the  
17 RESONATE trial, which was a trial of ibrutinib  
18 compared to ofatumumab in a very comparable patient  
19 population, those that are previously treated with  
20 CLL, and that trial also included crossover of a  
21 substantial amount. That trial, however, was able  
22 to demonstrate an overall survival hazard ratio

1 [indiscernible - audio distorted] -- ratio towards  
2 1, so it likely could have been even lower. But  
3 we're in a situation here with a hazard ratio of  
4 1.09, which significantly calls into question the  
5 safety and effectiveness of this product. Thank  
6 you.

7 DR. GARCIA: Thank you, Dr. Gormley.

8 Let's go ahead. Dr. Madan, you have a  
9 question?

10 DR. MADAN: Yes. I have one question for  
11 the sponsor and two for the FDA. But I just want  
12 to get clarification because I'm hearing mixed  
13 messaging from the FDA. Earlier in the response  
14 from the FDA, they said they were not questioning  
15 efficacy, but in that last statement, they said  
16 that there is a question about safety and efficacy.

17 Can I get some clarity on that? I mean, is  
18 this a safety issue or an efficacy issue, or both?

19 DR. GORMLEY: This is Nicole Gormley,  
20 division director. It's really a safety issue, but  
21 you can't really separate the two issues, if I can  
22 just be clear; that safety and effectiveness go

1 hand in hand.

2 If you have a toxic product but the efficacy  
3 is really substantial and it works really well, the  
4 balance then is a little bit more favorable;  
5 whereas if you have a marginal product that has  
6 substantial toxicity, it really calls into question  
7 the overall risk-benefit and safety and  
8 effectiveness of a product.

9 DR. MADAN: Okay.

10 DR. GORMLEY: So what we're talking about  
11 here is a new safety signal, but these two do go  
12 hand in hand.

13 I heard that I was breaking up a little bit.  
14 I just wanted to clarify. I hope you heard me. In  
15 the RESONATE trial of ibrutinib versus ofatumumab,  
16 same comparator, same comparable patient  
17 population, that also had significant crossover.  
18 The hazard ratio for overall survival was 0.43.  
19 Thanks. Hopefully you heard me clearly this time.

20 DR. MADAN: Okay. Thank you.

21 While I appreciate that safety and efficacy  
22 go hand in hand, efficacy calls into question the

1 need for a certain disease-specific expertise that  
2 I don't have, but I can focus on safety given what  
3 limited knowledge I bring to the table here.

4 So my question for the sponsor is, if I  
5 understood correctly, there was some kind of  
6 indication that there was a thought that the  
7 increase deaths were related to infections, and  
8 that is because the patients were on the treatment  
9 for a longer period of time, and when they  
10 ultimately progressed, it happened in a way that  
11 was captured as an AE related to infection and  
12 potentially lead to death.

13 Do you guys have any data that shows that  
14 the deaths related to infection coincided with  
15 disease progression? And that's with the  
16 presumption that I interpreted what you said  
17 correctly, so if I didn't, feel free to tell me  
18 that. Thank you.

19 (No response.)

20 DR. GARCIA: Anyone from the applicant  
21 group?

22 (No response.)

1 DR. DAVIDS: I think Dr. Sidransky may have  
2 lost his line again, so I'll wait for him to call  
3 back in. This is Matt Davids. I can start with  
4 that question.

5 DR. MADAN: If you want, I can redirect my  
6 questions to the FDA, and then come back to that  
7 when you guys have your communications up.

8 DR. DAVIDS: That will be helpful, actually.  
9 Thank you.

10 DR. MADAN: Okay. That's great.

11 So for the FDA, if we could go to slide 34,  
12 in your presentation -- and I think I was part of  
13 the PI3K discussion back in April, but I think it's  
14 important to also interpret this data in the  
15 context of this specific disease state and trial.

16 Did any of these other trials involve a  
17 crossover like we see in this trial for these other  
18 disease states?

19 DR. RICHARDSON: Hi. This is Nicholas  
20 Richardson from --

21 (Crosstalk.)

22 DR. GORMLEY: This is Nicole --

1 DR. RICHARDSON: -- go ahead, Dr. Gormley.

2 DR. GORMLEY: No. Go ahead, Dr. Richardson.

3 DR. RICHARDSON: Okay.

4 Thank you for the question regarding if some  
5 of these trials did include crossover. The answer  
6 is yes and no. In the UNITY-CLL trial that had a  
7 hazard ratio of 1.23, patients that received the  
8 obinutuzumab-chlorambucil control arm were able to  
9 crossover to receive umbralisib and ublituximab  
10 upon disease progression. So we had a similar  
11 situation with that trial as we are currently  
12 experiencing with the DUO trial. In regards to the  
13 other agents, idelalisib and copanlisib, we can  
14 double-check. I do not believe, from memory, that  
15 those trials allowed crossover, but we can  
16 double-check to confirm that.

17 DR. MADAN: Okay. Thank you.

18 Then I guess on slide 37, it was a similar  
19 list of trials with different agents, did these  
20 other trials -- again, pardon my ignorance  
21 here -- have the similar length of exposure to drug  
22 as this trial did, in terms of up to 5 years or

1       whatever?

2               DR. RICHARDSON:  Hi.  Nicholas Richardson  
3       again, FDA.  This data here represents the  
4       monotherapy data for the respective agents based on  
5       a pooled safety population.  That includes patients  
6       with CLL and indolent non-Hodgkin lymphoma.  As far  
7       as the exposure, they were relatively comparable,  
8       and it ranged primarily anywhere from about  
9       6 months to 9 months as far as the median exposure;  
10      some being a little longer than others, just  
11      depending on the characteristics of the safety  
12      population that was included for each respective  
13      agent.

14              DR. MADAN:  Okay.  Thanks.

15              I don't know if --

16              DR. GARCIA:  We'll move on to Dr. Lieu.

17              DR. SIDRANSKY:  The sponsor is back  
18      [indiscernible] -- another connection issue.  I'm  
19      back on.

20              DR. MADAN:  So I'll repeat my question for  
21      you and, again, correct my understanding if I'm  
22      wrong here, please.



1           My understanding is during your  
2 presentation, there was kind of a suggestion that  
3 the reason why there were some increased deaths  
4 from infection is because, ultimately, when  
5 patients with this disease progress, they get  
6 infections, and that leads to increased mortality.

7           I guess my question is, did you guys have  
8 any data that shows that the increased deaths  
9 related to infection occurred at disease  
10 progression?

11           DR. SIDRANSKY: [Indiscernible - audio  
12 distorted] -- in approximately about 40 percent. I  
13 don't have specific data to show you, but when we  
14 were looking for the cases, approximately  
15 40 percent occurred very close to progression, but  
16 that's also known clinically, that progression, and  
17 infection, and death occur very close to one  
18 another in this refractory/relapsed setting.

19           I'll let Dr. Davids further comment on this  
20 because I think the real-world evidence they see I  
21 think is also meaningful.

22           DR. DAVIDS: Thanks. This is Matt Davids

1 from Dana-Farber. Yes, I certainly agree. That's  
2 what we tend to see clinically. Often in the  
3 setting of an infection, patients may have to hold  
4 a drug and may then experience disease progression,  
5 or the disease progression itself leads to  
6 increased immune suppression from an increasing  
7 burden of CLL disease, which then leads to  
8 infection; so those are very commonly associated.

9 I think an important point is that the  
10 patients who were still on duvelisib on this study,  
11 whether as the first treatment or in the crossover,  
12 were being very closely monitored, and all  
13 infections were being recorded. Of course patients  
14 on ofatumumab, actively on the study and for  
15 30 days after finishing ofatumumab, were also being  
16 monitored very closely and were being recorded for  
17 AEs.

18 But part of this imbalance in the infections  
19 is that patients on the ofa arm who had completed  
20 treatment and were more than 30 days out from  
21 finishing that treatment, they very well probably  
22 were having infections, possibly even fatal

1 infections. The problem is, though, they were not  
2 being recorded that way necessarily because these  
3 patients were not being followed as closely, and  
4 some of these patients had moved on to receive  
5 other therapies at that point, which may have  
6 contributed to that infection risk. So I think it  
7 gets very challenging for those ofatumumab patients  
8 due to that ascertainment bias. Thank you.

9 DR. MADAN: Let me just wrap up with the --

10 DR. GARCIA: Dr. Madan, if you don't mind,  
11 maybe if we could actually just hold that question  
12 again, or any follow-up question that you have so  
13 we can move on to other committee members, if you  
14 don't mind.

15 Dr. Lieu?

16 DR. LIEU: Yes. Thank you. This question  
17 is for the sponsor.

18 In the final analysis, it's reported that  
19 44 percent of the treatment arm discontinued the  
20 drug due to adverse events, leading to  
21 discontinuation compared to 6 percent in the  
22 control arm. So my question to the sponsor is, do

1 you have a time-to-treatment failure figure, or if  
2 not, can you tell us how these patients were  
3 censored or followed?

4 The reason I'm asking this question is  
5 because if you have a higher drop out because of  
6 poor drug tolerance, and those patients are  
7 censored before progression, then PFS could be  
8 compared among those who best tolerated the study  
9 drug versus a larger group of patients on the  
10 control arm.

11 DR. SIDRANSKY: Good question.

12 [Indiscernible].

13 DR. GARCIA: Dr. Sidransky, it's really hard  
14 to hear you. You're breaking up quite a bit.

15 DR. SIDRANSKY: [Indiscernible] -- turn it  
16 to Dr. Wei, who has done the analysis.

17 Dr. Wei?

18 DR. WEI: Sorry, Dave. You're off and on.  
19 If I understand, you asked me to answer the  
20 question about treatment failure analysis.

21 I think this is a wonderful question. In  
22 fact, think about the situation. A patient is off

1 the treatment prematurely due to AE, for example,  
2 and we say, "Well, this is no good, it should be  
3 part of the risk-benefit consideration," and then  
4 the patient progressed and went off the treatment.

5 In fact, we did an analysis using this data  
6 from the DUO trial. We defined a composite  
7 endpoint, which included deaths, off treatment  
8 prematurely, and also progression. Then if you  
9 look at the Kaplan-Meier curve, it's dramatically  
10 in favor of duve, the duve arm, compared with ofa.

11 I don't know if we have this backup figure  
12 or not.

13 DR. DAVIDS: Can we have the slide up,  
14 please?

15 (Pause.)

16 DR. WEI: Here on the left-hand side we can  
17 say -- this is called a cumulative incidence curve.  
18 Instead of a Kaplan-Meier, actually it's almost  
19 like a 1 minus Kaplan-Meier. The curve is from  
20 zero to increasing to 1. Now, the gray curve is  
21 ofa and the blue curve is duve, and we actually  
22 considered a so-called -- very nicely you put it,

1       sir -- treatment to failure analysis. Look at this  
2       analysis now. The gray curve is much higher than  
3       the blue curve, and indicates, using this treatment  
4       analysis, that actually duve was performing very  
5       well. Thank you.

6               DR. GARCIA: Dr. Nieva?

7               DR. NIEVA: Thank you. Jorge Nieva, USC.  
8       My question is for the sponsor.

9               In the DUO trial, was there a difference in  
10       access to second-line therapies between the two  
11       arms? Thank you.

12              DR. SIDRANSKY: No, there was absolutely no  
13       restrictions to additional access, and that's one  
14       of the things that's really complicated to curves,  
15       and obviously the results if you keep going down  
16       the line. There was just at that time very many  
17       different regimens that were used without anything  
18       that stood out.

19              I'll let Dr. Davids finish because he  
20       actually ran the trial and saw these differences.

21              DR. DAVIDS: Yes. This is Matt Davids. I  
22       can add that I think this is a crucial point here

1 that hasn't come up yet, which is that most of  
2 these patients were at a time when the BTK  
3 inhibitors were just coming onto the market, so  
4 what often happened on this study is that patients  
5 with duvelisib were doing well for a long period of  
6 time, progression free, and the patients with  
7 ofatumumab would either crossover to duvelisib or  
8 they would go on a drug like ibrutinib, which had  
9 recently been approved and was available. Even in  
10 the crossover study, again, if patients went on to  
11 ofatumumab, they would usually progress quickly,  
12 and then go onto a drug like ibrutinib, a BTK  
13 inhibitor.

14           So one of my thoughts about why the survival  
15 has been improving very late in that ofatumumab  
16 curve is it's reflecting that access to BTK  
17 inhibitors, and that is a less relevant  
18 consideration now since these patients will have  
19 already received BTK inhibitors. So I think that's  
20 part of the effect we're seeing there.

21           DR. NIEVA: Thank you.

22           I have one question for the FDA. The issue

1 of ascertainment bias in collection of toxicity  
2 data has been raised a number of times by the  
3 sponsor. Can the FDA respond specifically to the  
4 problem of ascertainment bias and why it should not  
5 apply or be considered in thinking about the  
6 risk-benefit ratio? Thank you.

7 DR. RICHARDSON: Hi. This is --  
8 (Crosstalk.)

9 DR. GORMLEY: This is Nicole Gormley. Can I  
10 just ask a clarifying question?

11 When you were asking, what specifically are  
12 you referring to for ascertainment bias? Are you  
13 referring to safety reports and narratives, or are  
14 you referring to --

15 DR. NIEVA: Yes. I --

16 DR. GORMLEY: -- what specifically are you  
17 referring to?

18 DR. NIEVA: The sponsor has posited a number  
19 of times that the reason that their drug appears  
20 more toxic is that toxicity data was collected for  
21 a longer period of time, and the background  
22 infection rate in patients with chronic lymphocytic



1 leukemia means that we will see infectious data  
2 occurring at a given rate over time, but because we  
3 ascertained it in one group longer than the other,  
4 it appears that there's a higher infection rate.  
5 And the FDA, thus far I've not actually heard a  
6 direct opposition to that statement as to why we  
7 should still consider the drug to be more toxic  
8 from an infection standpoint, given this issue with  
9 data collection.

10 So could there be a statement from the FDA  
11 as to how we should consider safety data in the  
12 setting of two different methodologies for  
13 evaluating safety in the two treatment arms?

14 DR. RICHARDSON: Hi. This is Nicholas  
15 Richardson at FDA. Thank you for that question. I  
16 think that's an important point, so a couple  
17 considerations.

18 One, as you mentioned, this trial was  
19 designed to evaluate duvelisib, which was  
20 administered continuously until progressive disease  
21 or unacceptable toxicity, compared to a  
22 fixed-duration, monoclonal antibody, so inherent in

1 the design is this difference.

2 Now, this trial was used to support  
3 registration as the agent is intended to be  
4 administered. So this is a randomized trial. It  
5 balances known and unknown factors, and when we  
6 think about the comparative assessment of safety,  
7 we're looking at safety as the treatments are going  
8 to be administered to patients. So it's really  
9 important to note this aspect because the chronic  
10 administration of duvelisib impacts that risk to  
11 patients.

12 So you can do the analyses both ways. You  
13 can look at it as sort of a direct comparison of  
14 the agents while they are being exposed on each  
15 treatment arm, but because of the fixed-duration  
16 aspect of the control arm, it does lead to an  
17 imbalance; however, that was the selected design by  
18 the sponsor to inform the risk of their product.

19 One thing that did come out of the data is  
20 that duvelisib is associated with grade 3 or higher  
21 toxicities that have a longer term onset. So when  
22 we look at the median onset to grade 3 or higher

1 PI3K-associated toxicities -- and this is included  
2 in the current USPI -- the median ranges from  
3 2 months to 6 months with a really broad range of  
4 time to onset, so this chronic administration does  
5 play a direct role into the risk.

6 Now, it does highlight why there are  
7 differences in safety when you look at the trial  
8 overall, but at the end of the day, now we're  
9 trying to take that safety information and apply it  
10 to clinical outcomes such as overall survival,  
11 which we consider as an efficacy and a safety  
12 endpoint, and the data supports the risk or the  
13 safety concerns may be having a potential detriment  
14 in overall survival, and that's really reinforced  
15 by the fact that we're seeing fatal toxicities  
16 associated with the agents.

17 So as discussed, we define that as you have  
18 a fatal toxicity while on treatment within 30 days  
19 or there is a temporal relationship to study  
20 treatment. That does not include patients that  
21 have evidence of progressive disease. It does not  
22 include patients that have a window that is outside

1 that adverse event definition. So hopefully that  
2 shed some light on your question.

3 DR. NIEVA: Thank you. That --

4 DR. SIDRANSKY: Can I add an additional  
5 comment?

6 DR. GARCIA: Who is this? Please identify  
7 yourself.

8 DR. SIDRANSKY: David Sidransky. Can I add  
9 an additional comment to that response from the  
10 FDA?

11 DR. GARCIA: Sure. Go ahead, and try to be  
12 precise if you can, please.

13 DR. SIDRANSKY: Sure. I think the question  
14 answered the issue of how long, actually, patients  
15 are on drug for duvelisib, and again I think that's  
16 for you to consider. I think it's clear that  
17 patients are having the additional benefit, and to  
18 be clear they accumulate additional events, but it  
19 doesn't answer the issue of ascertainment bias.

20 I want to make it very clear that ofatumumab  
21 was given for 6 months plus 30 days, and events  
22 that normally occur were not ascertained. Per

1 protocol, 30 days afterwards, they happened but  
2 they were not tabulated. So you are looking at an  
3 ascertainment bias that I think cannot be negated  
4 here. It's simply that the background rates, which  
5 you saw, for example, on the placebo trial,  
6 continued to occur in these patients, but they're  
7 not tabulated.

8 So unlike the FDA's assertion that there are  
9 more events taking place potentially on that arm,  
10 they were basically hidden in terms of final  
11 analysis.

12 DR. NIEVA: Thank you. That concludes my  
13 questions.

14 DR. GARCIA: Thank you.

15 DR. GORMLEY: This is Nicole Gormley. I  
16 just would like to add a comment, that also when we  
17 do time-limited analyses, we still see higher  
18 rates. I just want to highlight, with this trial  
19 design, it kind of cuts both ways. There's  
20 continued administration with one product versus a  
21 time limited with another. And yes, that sometimes  
22 can result in better efficacy for the product, but

1 there's also more safety. But even when we do the  
2 time-limited analyses, we also see higher rates of  
3 infection. So I'd just make that clear. Thanks.

4 DR. DAVIDS: This is Matt Davids. Slide  
5 up --

6 (Crosstalk.)

7 DR. GARCIA: Thank you. Dr. Freidlin --

8 DR. DAVIDS: Oh, sorry. Can I just make a  
9 very quick comment?

10 DR. GARCIA: You may be able to, actually; a  
11 comment after Dr. Freidlin asks his question, if  
12 you don't mind.

13 DR. DAVIDS: Sure. Thank you.

14 Dr. Freidlin?

15 DR. FREIDLIN: Yes. This is Boris Freidlin.  
16 This is a question to FDA.

17 It was noted repeatedly in the presentation  
18 there is a fundamental flaw in the DUO design. The  
19 design includes crossover from the control to the  
20 experimental arm in a design like this or else data  
21 are not interpretable since the trial is  
22 essentially comparing two experimental arms,

1 duvelisib up front versus duvelisib progression.  
2 So in a specific setting, this design could not  
3 clearly estimate potential or a detriment.

4           Could FDA clarify why this design is used in  
5 licensing trials? Thank you.

6           DR. GORMLEY: This is Nicole Gormley. I'll  
7 just start, then I'll turn it over to my  
8 statistical colleagues, if that would be helpful.

9           We generally discourage this design type  
10 because it does sometimes lead to more challenging  
11 overall survival analyses. The reason for doing  
12 this, though, is that from a patient perspective,  
13 there is an interest in if the patient does not do  
14 well or progresses on one arm, the desire to be on  
15 the other arm. So from a patient perspective,  
16 there is this potential advantage. It does  
17 convolute the trial design, and as such we  
18 generally discourage this because there should be,  
19 when designing trials prospectively, clinical  
20 equipoise.

21           As I highlighted or mentioned before,  
22 though -- and perhaps I'll turn it over to my

1 statistical colleagues -- I think the real issue  
2 here is that we're seeing this potential detriment  
3 despite the substantial crossover.

4 Could one of my statistical colleagues  
5 comment?

6 DR. GWISE: Yes. Hi. This is Thomas Gwise  
7 of the FDA, director of Biometrics IX.

8 We agree with your statement that having the  
9 crossover in such a trial design can make the data  
10 ambiguous. But as Dr. Gormley said, the onus is  
11 on the sponsor to have data that supports safety  
12 and efficacy, and having the crossover is deemed to  
13 be a benefit to the patients enrolling in the  
14 trial; they have the option to crossover. And  
15 that's it.

16 DR. GARCIA: Thank you.

17 Ms. Nadeem-Baker?

18 (No response.)

19 DR. GARCIA: Ms. Nadeem-Baker? You may be  
20 mute.

21 (No response.)

22 DR. GARCIA: Let's just go ahead. Maybe we



1 can proceed with the next person.

2 Dr. Sekeres, do you have a question?

3 DR. SEKERES: I do actually. Thank you.

4 I'm going to ask the sponsor to put up  
5 slide CE-21, and while they're doing this, I'm  
6 going to reflect a little bit on what FDA just  
7 said.

8 In some ways, this trial was built to win.  
9 The comparator group is ofatumumab. I think even  
10 at the time, people knew that wasn't the ideal  
11 comparator arm, given what agents were out there or  
12 were emerging, and it was given in a self-limited  
13 way. That can benefit the sponsor in looking at  
14 things like progression-free survival, but then at  
15 the same time, they have to own the fact that  
16 ongoing treatment with the drug, you have to  
17 continue to collect ongoing safety data.

18 If I'm treating a patient with a drug, and I  
19 give it for a year, I'm not going to ignore adverse  
20 events that emerge from the drug after 6 months  
21 because that's how I would give another drug. I'm  
22 going to continue to collect those adverse events

1 and respond to them, modify treatment or stop  
2 treatment, and hopefully those adverse events don't  
3 lead to death in my patient.

4 I think it's a specious argument to talk  
5 about ascertainment bias. It is what it is.  
6 You're giving a drug long-term; you need to collect  
7 adverse events long-term, and sometimes those  
8 adverse events will lead to death.

9 Is the sponsor going to put up slide CE-21?

10 DR. SIDRANSKY: Slide up.

11 DR. SEKERES: So I think the crux that we're  
12 all debating is did patients die more because they  
13 got this drug? And this is a hard thing to figure  
14 out over a 5-year period, when patients are going  
15 to switch from one drug to another.

16 Here we have a treatment-emergent adverse  
17 event rate with outcome of death that eventually is  
18 15 percent in the final analysis of patients who  
19 were randomized to duvelisib. My question for you  
20 is, do you have data -- and this can go to the  
21 sponsor or the FDA -- on how many patients died  
22 from a cause other than progression, whose most

1 recent treatment was duvelisib, since patients  
2 bounced on and off of a variety of treatments over  
3 the course of this study?.

4 DR. SIDRANSKY: Yes. Thank you for the  
5 question, and I think I agree with the overall  
6 concept that you've presented. I think one of the  
7 ways that -- well, we obviously have to assess  
8 them, and I think it is important, however, to  
9 understand how long the patients are on the drug so  
10 that you are accumulating events, but is there a  
11 change, is there an increase, not just -- but also  
12 on the time that they're spending because they're  
13 benefiting from PFS.

14 Just before going to that -- because I'm  
15 going to pass it over to Dr. Davids since he has  
16 more knowledge about the trial and what they  
17 received -- the time that they spent on the drug is  
18 tremendously higher. The exposures actually here  
19 are -- but there seems to be a typo at the bottom.  
20 It's about 55 weeks for duvelisib and 50 for -- I'm  
21 sorry, at the final analysis and about half as much  
22 for ofatumumab. But if you look at mean times,

1 it's much, much higher. You go to 50 versus 75 in  
2 terms of weeks, and if you look at total times they  
3 spent on it, it actually approaches 3 to 4 times as  
4 much time as they spent on ofatumumab.

5 So I think it is at least fair while  
6 assessing these to take an assessment of what's  
7 happening over that period of time.

8 I'll turn it over to Dr. Davids, and maybe  
9 he can discuss a little bit about whether there's  
10 any information regarding other drugs and  
11 associated deaths.

12 DR. SEKERES: Right. That said, though,  
13 remember this is by design. You designed a study  
14 where the control arm was self-limited, so talking  
15 about differences in exposure, that's actually what  
16 you wanted. Right? And that could feed actually  
17 into progression-free survival. Patients who have  
18 a therapy and then stop that therapy are probably  
19 more likely to progress with a chronic disease like  
20 CLL than those who continue on the therapy.

21 So this is all by design. I think you have  
22 to own both sides of that design.

1 DR. SIDRANSKY: And I do. And as you said,  
2 I think -- go ahead. Sorry.

3 DR. SEKERES: To get back to the question,  
4 though, does anybody have data on patients who died  
5 from an event other than progression, whose most  
6 recent treatment was duvelisib?

7 DR. SIDRANSKY: Dr. Davids?

8 DR. DAVIDS: This is Matt Davids. It's a  
9 great question, and I don't know the specific  
10 number. I would say, based on the slide that we  
11 had up previously, that what we're looking at here  
12 is what's the difference between the analysis that  
13 was used for the full approval of this drug and  
14 then what has changed since that analysis; and you  
15 do see, of course, more deaths occurring in  
16 patients on duvelisib.

17 I think it's sort of a mix of what you said,  
18 and in our experience that some patients are dying  
19 of causes other than progression; some patients are  
20 dying of progression. There are still infections,  
21 of course, that can occur when patients are still  
22 on drug. I think the key point is that those are

1 all being tracked very carefully with the patients  
2 on duvelisib, and recorded, and those patients who  
3 were on ofatumumab, progressed, and went on to  
4 other therapies, are also having similar  
5 infections, but we're not tracking them, and that's  
6 why these differences seem to be apparent in the  
7 comparison.

8 DR. SEKERES: Yes. That's what I'm actually  
9 trying to get to, Matt. I just want to try to get  
10 the truth here.

11 So a patient who got duvelisib and then was  
12 treated with a BTK inhibitor, and 6 months later  
13 died from an adverse event on the BTK inhibitor, I  
14 don't think should be attributed to duvelisib. On  
15 the other hand, patients who continued on duvelisib  
16 and then developed life-threatening infection, and  
17 did die from that, I'm just trying to get to what  
18 percentage of patients that actually is in a 5-year  
19 study. I don't think you can --

20 DR. RICHARDSON: This is Nicholas Richardson  
21 from FDA. Can we try to address this question?

22 DR. GARCIA: Please go ahead if you have

1 that information.

2 DR. RICHARDSON: Sure. Can we pull up  
3 slide 13, first, from the main presentation? And  
4 then we'll have one additional slide.

5 From an FDA standpoint, when we look at the  
6 categories of death from a randomized trial, we  
7 utilize a standard approach. So here was the  
8 categories as presented during the main  
9 presentation. Those that are categorized as  
10 adverse events are in the absence of progressive  
11 disease. They occurred while on study treatment or  
12 within 30 days or there is a temporal relationship  
13 to study treatment. An example of that, if a  
14 patient develops pneumonia while they're on study  
15 treatment but they have a fatal event that occurs  
16 60 days after that onset of pneumonia, we would  
17 still consider that to be related to study  
18 treatment, and it would be categorized as an  
19 adverse event since the inciting event started  
20 while on treatment or within 30 days.

21 The other categories represented here are  
22 progressive disease. Other represents those that

1 essentially do not have progressive disease or do  
2 not meet that adverse event definition, so it  
3 represents patients of what you're talking about in  
4 those that may have received subsequent therapy and  
5 may have had a fatal event, either due to a  
6 subsequent therapy or due to the underlying disease  
7 such as an infection, so they are captured here in  
8 the other category.

9 I think the important thing to note here,  
10 though, is in the ofatumumab arm, there were  
11 9 patients that had a fatal event that occurred  
12 following subsequent therapy with duvelisib. So of  
13 those 28 patients from the ITT population, there  
14 were 9 patients that had a duvelisib-associated  
15 fatal toxicity that were counted as other when you  
16 just looked at the prespecified ITT population.

17 Hopefully that helps, and if you would like  
18 some further information on the categories of the  
19 other reasons, we can share that as well.

20 DR. SEKERES: Okay. Thank you. I think we  
21 can move on.

22 DR. GARCIA: Thank you.



1 Ms. Nadeem-Baker?

2 MS. NADEEM-BAKER: Hi. This is Michele  
3 Nadeem-Baker. I am a patient representative, and I  
4 am a CLL patient. I would like to ask questions  
5 that would go to both. The FDA pointed out that  
6 patients were excluded who had been on a BTK-i  
7 inhibitor as well, and none who were on a bcl-2,  
8 venetoclax, were included.

9 Here is my question. I'm unsure why the FDA  
10 did bring that up, but this would be for those who  
11 had been on 2 prior treatments. But my question to  
12 Dr. Davids or Dr. O'Brien would be, from what I  
13 understand, patients can stop responding to these  
14 drugs, and then would need a third-line treatment,  
15 but I want to make sure I understand that  
16 correctly.

17 DR. SIDRANSKY: Yes. I will go ahead and  
18 let Dr. Davids and Dr. O'Brien respond because  
19 that's a critical question in terms of this current  
20 treatment landscape and, in fact, the FDA was  
21 correct, that those patients were excluded in the  
22 original DUO trial.

1 Dr. Davids first, and then Dr. O'Brien?

2 DR. DAVIDS: Thanks, Michele, for a great  
3 question. This is Matt Davids.

4 I think a couple of important points to  
5 raise here, one is just highlighting how quickly  
6 the field has changed since this study first  
7 accrued, and it's true that now most patients who  
8 we would treat with drugs like duvelisib would be  
9 post-BTK inhibitor/post-venetoclax.

10 If we can do slide up. As we highlighted in  
11 the presentation on this slide -- if we can pull up  
12 the slide that's currently in the preview, please,  
13 thank you -- this is a population who,  
14 unfortunately, has a very poor prognosis. The  
15 median overall survival for this double refractory  
16 population is 3.6 months. It is true that the data  
17 so far are relatively limited for the efficacy of  
18 PI3-kinase inhibitors, but we would differ from the  
19 FDA who stated that there's no evidence of  
20 efficacy.

21 If we could please pull up the slide showing  
22 the PFS and overall response, so there are emerging

1 real-world data sets that are retrospective, but  
2 they do show potential benefits of PI3-kinase  
3 inhibitors here. This is one of the series that  
4 showed an overall response rate of 47 percent for  
5 PI3-kinase inhibitors specifically in patients who  
6 had progressed after BTK and bcl-2. Median PFS  
7 here is 5 months, which is certainly shorter than  
8 what was seen in the DUO study, but remember the  
9 median overall survival for this population is  
10 3.6 months.

11 So when I'm sitting in clinic with my  
12 patients who've been through both mechanisms, I'm  
13 basically discussing best supportive care hospice  
14 or a PI3-kinase inhibitor and whether it's  
15 potential for benefit. And I tried to illustrate  
16 in my presentation some of the real potential  
17 benefits that patients can have. Thank you.

18 DR. SIDRANSKY: Dr. O'Brien, any additional  
19 comments?

20 DR. O'BRIEN: Yes. I would just say that,  
21 as Matt pointed out, this is a highly refractory  
22 group, but these are the patients we're starting to

1 see more and more of. In the original DUO trial,  
2 it was noted that it [indiscernible] did not have a  
3 BTK inhibitor or venetoclax. Well, venetoclax was  
4 not approved at the time, and ibrutinib had just  
5 become approved.

6 So yes, that population is different than  
7 this population, but this is a population that's  
8 becoming more and more common and is a big problem  
9 for us. And you might say, well, 5 months is not a  
10 really long remission, but don't forget it then  
11 allows you to bridge patients to other things. For  
12 example, in the case Matt showed, where the patient  
13 went to allo transplant, that's exactly one of the  
14 benefits of having an effective therapy that allows  
15 you to look for a more definitive treatment option  
16 going forward, for example a CAR-T or a transplant,  
17 or something like that. But this population is  
18 becoming more and more of a problem for us.

19 Thanks.

20 DR. SIDRANSKY: I just would like to wrap up  
21 by saying that --

22 (Crosstalk.)

1 DR. GORMLEY: This is Nicole Gormley from  
2 FDA. Oh, go ahead, please.

3 DR. SIDRANSKY: No. I just wanted to wrap  
4 up and say that we're also getting some early  
5 information. We hesitate to present it here  
6 because we don't have all the data, but it looks  
7 like also in a phase 1 study in Japan, they're  
8 seeing responses after BTK inhibitors and bcl-2  
9 inhibitors, but again it's just emerging data.

10 DR. GORMLEY: This is Nicole Gormley at the  
11 FDA. I would like to respond, if possible, to  
12 this, as well.

13 DR. GARCIA: Please go ahead, Dr. Gormley.

14 DR. GORMLEY: Yes. First, I'd like to  
15 highlight and underscore that we are in a data-free  
16 zone. Those patients were available at the time,  
17 but they weren't included in this trial,  
18 unfortunately. So we don't have information that's  
19 been reviewed, and we can't carve out new  
20 indications, assess the activity, or know how it  
21 would work in these populations without having data  
22 to review. The sponsor has highlighted an article

1 by Mato, et al., and I'll turn it over to  
2 Dr. Richardson to discuss some of the issues with  
3 that.

4 DR. RICHARDSON: Yes. Hi. Thank you,  
5 Dr. Gormley.

6 Can we bring up slide 91, please?

7 As Dr. Gormley mentioned, we just don't have  
8 prospective data to support safety or efficacy of  
9 duvelisib in patients that were previously treated  
10 with a BTK inhibitor or a bcl-2 inhibitor, and the  
11 information that the sponsor just highlighted, it's  
12 retrospective, it's real-world data, and there are  
13 limitations with that, so this should be  
14 interpreted cautiously. However, it did show a  
15 response rate of 47 percent, but the article does  
16 note that those responses were transient, and there  
17 was limited durability.

18 The other aspect is it did highlight the  
19 high rates of discontinuation primarily due to  
20 adverse events with PI3K inhibitors, and  
21 specifically with duvelisib just given the dual  
22 inhibition of gamma and delta. So again, when we

1 think about the ability to tolerate these agents  
2 and fill a role, we really need to base our  
3 decisions on appropriate data.

4 The other aspect that this slide here shows  
5 is in the second column, patients that were  
6 previously exposed to venetoclax or a BTK inhibitor  
7 received either an alternative BTK inhibitor or a  
8 subsequent, non-covalent BTK inhibitor, which are  
9 currently under development. These also showed  
10 that patients have the ability to achieve objective  
11 responses, and it was actually based on this data,  
12 higher, and it appeared to be more durable than the  
13 responses with PI3K inhibitors.

14 So at the end of the day, we really don't  
15 have safety or efficacy data in these patients that  
16 have been previously exposed to a BTK or bcl-2  
17 inhibitor, so it's an important consideration,  
18 given that the sponsor has repeatedly noted that  
19 this may be a role for duvelisib, but it is a  
20 data-free zone. Thank you.

21 MS. NADEEM-BAKER: My question, if I could  
22 just follow up, was really based on once you use

1 one BTK inhibitor, if I understand this correctly,  
2 and it no longer works, then you cannot go on  
3 another BTK inhibitor; so basically, if a patient  
4 can -- it doesn't mean just because they've used  
5 one and it's no longer effective, and the next,  
6 they cannot go on another BTK inhibitor, if I  
7 understand that correctly.

8 Then there's --

9 DR. GORMLEY: This is Nicole Gormley.  
10 Sorry. Go ahead.

11 DR. NADEEM-BAKER: So if both of those are  
12 no longer effective for the patient, that third  
13 line would then be, as it stands now, what we're  
14 speaking of. It doesn't mean they can go back on  
15 the other.

16 DR. GORMLEY: This is Nicole Gormley. That  
17 was what Dr. Richardson was talking about with the  
18 second column. The middle assertion you made is  
19 not correct, and that was what their study showed.  
20 Patients can be retreated with a different --

21 MS. NADEEM-BAKER: No --

22 DR. GORMLEY: -- and have --



1 MS. NADEEM-BAKER: -- no.

2 DR. GORMLEY: -- good responses.

3 (Crosstalk.)

4 MS. NADEEM-BAKER: I would really like to  
5 have --

6 DR. GORMLEY: -- and a longer duration.

7 MS. NADEEM-BAKER: No offense, but I would  
8 love to hear from one of the doctors who's a CLL  
9 specialist on that.

10 DR. DAVIDS: Hi. This is Dr. Davids.

11 DR. SIDRANSKY: Dr. Davids, I'm going to  
12 turn it back to you.

13 DR. DAVIDS: Yes, I can weigh in on that --

14 DR. GARCIA: Dr. Davids, if you could be  
15 precise in your answer for Ms. Nadeem-Baker, that  
16 would be great. We're really behind in time, so I  
17 appreciate if you can be precise.

18 DR. DAVIDS: Michele is exactly correct,  
19 that if a patient progresses on a covalent  
20 BTK inhibitor, they would not respond to a  
21 different covalent BTK inhibitor, and those are  
22 currently the only approved options. That second

1 column reflects largely the use of non-covalent  
2 BTK inhibitors, which are still in early-phase  
3 development, and they're not available as therapies  
4 for patients in the United States. Thank you.

5 MS. NADEEM-BAKER: Thank you.

6 DR. GARCIA: Thank you all.

7 Dr. Crawford, Advani, and Kraus, apologies.  
8 We're really crushing with time. Maybe I'll have  
9 the three of you speak first during our discussion  
10 session.

11 For now, it's 11:47, so we will now take a  
12 10-minute break. Panel members, please remember  
13 that there should be no chatting or discussion of  
14 the meeting topic with anyone during the break, and  
15 we'll resume at 11:57.

16 How about if we make it at 12 noon, 12:00,  
17 to start again. Thank you all.

18 (Whereupon, at 11:48 a.m., a recess was  
19 taken.)

20 **Open Public Hearing**

21 DR. GARCIA: We will now begin the open  
22 public hearing session.

1           Both the FDA and the public believe in a  
2 transparent process for information gathering and  
3 decision making. To ensure such transparency at  
4 the open public hearing session of the advisory  
5 committee meeting, FDA believes that it is  
6 important to understand the context of an  
7 individual's presentation.

8           For this reason, FDA encourages you, the  
9 open public hearing speaker, at the beginning of  
10 your written or oral statement to advise the  
11 committee of any financial relationship that you  
12 may have with the sponsor, its product, and if  
13 known, its direct competitors.

14           For example, this financial information may  
15 include the sponsor's payment for your travel,  
16 lodging, or other expenses in connection with your  
17 participation in this meeting. Likewise, FDA  
18 encourages you at the beginning of your statement  
19 to advise the committee if you do not have any such  
20 financial relationships. If you choose not to  
21 address this issue of financial relationships at  
22 the beginning of your statement, it will not

1 preclude you from speaking.

2           The FDA and this committee place great  
3 importance in the open public hearing process. The  
4 insights and comments provided can help the agency  
5 and this committee in their consideration of the  
6 issues before them.

7           That said, in many instances and for many  
8 topics, there will be a variety of opinions. One  
9 of our goals for today is for this open public  
10 hearing to be conducted in a fair and open way  
11 where every participant is listened to carefully  
12 and treated with dignity, courtesy, and respect.  
13 Therefore, please speak only when recognized by the  
14 chairperson. Thank you for your cooperation.

15           Will speaker number 1 please begin by  
16 stating your name and any organization you are  
17 representing for the record?

18           DR. ZUCKERMAN: Thank you. Will you please  
19 put my slides up?

20           I'm Dr. Diana Zuckerman, president of the  
21 National Center for Health Research. We scrutinize  
22 the safety and effectiveness of medical products,

1 and we don't accept funding from companies that  
2 make those products. Our largest program is  
3 focused on cancer prevention and treatments. My  
4 expertise is based on postdoctoral training in  
5 epidemiology and public health, and my previous  
6 positions at HHS, and as a faculty member and  
7 researcher at Harvard and Yale.

8 In April, this same committee examined six  
9 randomized trials of PI3K inhibitors used for  
10 hematologic malignancies and found that all reduced  
11 overall survival despite potential benefit for  
12 progression-free survival. FDA [indiscernible -  
13 audio distorted] findings because multiple  
14 randomized trials within the same drug class is  
15 unprecedented in oncology. That's a shocking  
16 finding that we need to take seriously, and that's  
17 the context for today's meeting.

18 The sponsor did a 5-year randomized-  
19 controlled postmarket study, which was 3 years  
20 longer than the data that resulted in initial  
21 approval. They found the median overall survival  
22 was 11 months shorter than the comparison drug, and

1 they found 50 percent of the patients died during  
2 those 5 years compared to 44 percent taking the  
3 other treatment even though that other treatment is  
4 no longer considered effective [indiscernible].

5 Then they analyzed patients with two or more  
6 prior therapies since that was the indication.

7 Those Copiktra patients lived about 3 months  
8 shorter, not as bad as the larger sample but still  
9 worrisome, and 56 percent died during the 5 years  
10 of the study compared to 49 percent assigned to the  
11 other treatment.

12 Adverse events caused the death of  
13 15 percent of the Copiktra patients compared to  
14 only 3 percent of the other treatment group, and  
15 the percentage of grade 3 or greater adverse events  
16 was 91 percent, and 78 percent had serious adverse  
17 events, both of these about twice as high as the  
18 comparison group. This has clear implications for  
19 quality of life, in addition to the patients not  
20 living as long.

21 The FDA did the right thing by requesting  
22 this postmarket study, and the sponsor did the

1 right thing by completing the study. Now it's time  
2 to listen to the results. We urge this advisory  
3 committee and the FDA to make it clear that  
4 approvals will be rescinded when evidence indicates  
5 that promising, short-term results are reversed  
6 based on longer term data from postmarket studies.  
7 Patients and oncologists want as many treatment  
8 options as possible, but we do patients no favors  
9 by maintaining approval for a drug that does more  
10 harm than good. As was true yesterday for other  
11 cancer treatments, the preponderance of evidence is  
12 clear today.

13 As a cancer survivor myself, I thank this  
14 committee and the FDA for its objective scientific  
15 analysis of the data presented [indiscernible]. I  
16 hope it will help everyone understand that an  
17 individual patient [indiscernible] specific  
18 treatment, but that treatment may not be right for  
19 the patient as well.

20 There are other individual differences that  
21 cause some patients to do better than others and to  
22 live longer than others. As FDA stated, these

1 diseases are often fatal ones. That's why large,  
2 long-term, randomized-controlled trials are so  
3 important, and help us understand which treatments  
4 are better for which patients.

5           There are so many problems with the data,  
6 including the very substantial changes of treatment  
7 standards that have occurred since the study was  
8 designed, a low number of U.S. patients and the  
9 dearth of non-white patients. All of these  
10 problems support rescinding approval for this  
11 indication.

12           It could take years for FDA to rescind  
13 approval unless the sponsor does the right thing by  
14 voluntarily doing so. Your vote today will be very  
15 influential. I hope that the sponsor will conduct  
16 new research to determine if a subgroup of patients  
17 can benefit from this drug under current treatment  
18 standards and if a lower dose is safer as well as  
19 effective; and if so, FDA should of course consider  
20 approval for a different indication. But that  
21 isn't where we are today. Thank you so much for  
22 the opportunity to speak. I appreciate it.



1 DR. GARCIA: Thank you, speaker number 1.

2 Will speaker number 2 please begin by  
3 stating your name and any organization you are  
4 representing for the record?

5 DR. SALTZMAN: Yes. I am Dr. Larry  
6 Saltzman. I have no financial relationships with  
7 the manufacturer. I am a 69-year-old family  
8 physician, and I was diagnosed with CLL/SLL at age  
9 56 in January 2010. My prognostic markers included  
10 the deletion of the 13q and 11q chromosomes, as  
11 well as unmutated for IVGH [ph].

12 This past June 2022, a biopsy of my latest  
13 relapse of the cervical node added a 17p deletion.  
14 Upon diagnosis, I was given an 8-year end-of-life  
15 prognosis and was initially placed on a wait and  
16 watch protocol. My first treatment took place July  
17 to December 2013 with 6 cycles of rituximab and  
18 bendamustine. It was then that I left clinical  
19 practice, and I'm now involved in research  
20 regarding COVID-19 and a blood cancer's patient's  
21 response to vaccines and the virus itself.

22 I am speaking to you because my journey has

1       been complicated. At present, I am awaiting an  
2       allogeneic bone marrow transplant, and the  
3       medication that has placed me in partial remission  
4       in preparation for this event is duvelisib. My  
5       CLL/SLL has been treated and relapsed on multiple  
6       occasions. A brief summary of my treatments  
7       include the aforementioned BR [ph] regime:  
8       ibrutinib as a monotherapy, venetoclax as a  
9       monotherapy, as well as ibrutinib combined with  
10      venetoclax as a combination therapy.

11               I have been treated with CAR-T therapy  
12      twice. In preparation for my first anti-CD19 CAR-T  
13      therapy, as my CLL was out of control, I was  
14      treated with many cycles of bendamustine/rituximab,  
15      high-dose corticosteroids, obinutuzumab, and a  
16      fludarabine Cytoxan conditioning protocol, and that  
17      CAR-T initially worked.

18               Upon relapse this past February, I had a  
19      second anti-CD20 CAR-T treatment April 15, 2022,  
20      which did not work; hence, my current relapse in  
21      June of this year. As I have failed all previous  
22      therapies, it was my last hope to use duvelisib, as

1 I had not yet been tried on a PI3K inhibitor.  
2 Fortunately, this treatment has been working. The  
3 lymphoma in my neck, liver, and kidney are  
4 responding.

5 On duvelisib, I'm frequently asked if I'd  
6 developed a side effect of diarrhea or colitis.  
7 I'm happy to report that the answer is no. Perhaps  
8 that is due to the fact that in 2015, having failed  
9 ibrutinib, I needed to have the right side of my  
10 colon and terminal ileum removed due to a bowel  
11 obstruction caused by my lymphoma.

12 As a patient and physician, and one who has  
13 failed treatment in all classes of current CLL  
14 therapy, including chemotherapy agents,  
15 BTK inhibitors, bcl-2 inhibitors, as well as  
16 multiple immunologic CAR-T therapy, I'm hoping your  
17 decision regarding duvelisib will be one where it  
18 will continue to be available to patients like me,  
19 who have no other options. I understand there are  
20 side effects to this medication, as there are to  
21 others. Without this option, we in the CLL world  
22 may be in grave danger. Thank you for your time

1 and consideration.

2 DR. GARCIA: Thank you, speaker number 2.

3 We'll move on with speaker number 3. Please  
4 begin by stating your name and any organization you  
5 are representing for the record.

6 DR. KOFFMAN: Dr. Brian Koffman. I'm  
7 representing the CLL Society. Thank you for the  
8 chance to speak in support of the affirmative to  
9 the question, is the benefit-risk profile of  
10 duvelisib favorable in patients with relapsed or  
11 refractory CLL or SLL after 2 prior therapies?

12 I speak as a patient diagnosed with an  
13 aggressive, high-risk CLL 17 years ago. I'm also a  
14 retired family doctor like Dr. Saltzman and the  
15 co-founder chief medical officer and executive vice  
16 president of the nonprofit CLL Society dedicated to  
17 the unmet needs of the CLL community. I have  
18 committed my last 15 years as a physician,  
19 educator, retired professor, advocate, and patient  
20 to understanding, researching, and explaining not  
21 only the rapidly changing therapeutic landscape,  
22 but also ensuring that both patients and providers

1 are up to date, and that all stakeholders are aware  
2 of what matters most to patients.

3 To that end, I'm going to share some results  
4 of our survey of 1147 CLL patients presented at  
5 ASH, the American Society of Hematology's annual  
6 meeting. The most important factors in selecting  
7 treatment and its statistically similar 9 out of  
8 10 patients in our study was response rate, overall  
9 survival, and progression-free survival. Risk of  
10 immediate side effects, while still very important,  
11 was less of a concern than cost or insurance  
12 issues. In summary, PFS, OS, and ORR are equally  
13 important to patients, and more important than  
14 toxicity.

15 FDA shared possible multiple effective  
16 therapies. Let's review them from a patient's  
17 perspective. While CLL is heterogeneous in its  
18 presentation and progression, it is not always  
19 indolent and can be aggressive, as it was in my  
20 case. About 80 percent of us will need treatment.  
21 That is proven for the majority of us.

22 We have two superior outcomes, a bcl-2

1 inhibitor and the BTK inhibitors. After a patient  
2 has failed by the one and only approved bcl-2  
3 inhibitor, venetoclax, and I want to emphasize  
4 this, any one of the approved or off-label BTK  
5 inhibitors -- because if you progress on one, you  
6 will progress on the others -- they all bind at the  
7 same site and have the same sensitivities.  
8 Additional choices are limited, the prognosis is  
9 poor as you've heard, and survivability is measured  
10 in months. Moreover, when a patient runs out of  
11 these approved options, the last few months of life  
12 for many CLL patients consist of numerous  
13 complications and overall low quality of life often  
14 spent in hospice care.

15 The role of chemotherapy, which was on that  
16 list in the relapsed/refractory setting, if any, is  
17 diminishingly small. Guidelines have shifted away  
18 from the use of chemotherapy, which generally  
19 combined an anti-CD20 antibody with a purine analog  
20 or alkalinizing agent.

21 Additionally, after being failed by 2 lines  
22 of therapy, as Dr. Saltzman's case demonstrated,

1 many patients have acquired mutations in TP53 that  
2 would render CIT largely ineffective. Further,  
3 avoiding chemotherapy is a factor in choosing  
4 therapy in over half of the patients that we  
5 survey. The use of monoclonal antibodies on that  
6 list is generally not recommended due to their poor  
7 outcome. If duvelisib is no longer available, that  
8 leads only to PI3-kinase idelalisib for use with  
9 rituximab. Anti-CD20 antibodies have been proven  
10 to severely dampen vaccine response and lead to  
11 poor outcomes in patients with SARS-CoV-2  
12 infection, something to consider.

13 One of the best alternatives for patients in  
14 this circumstance is the clinical trial. Sadly,  
15 for a variety of reasons, the clinical trial may  
16 not be a possibility due to inclusion/exclusion  
17 criteria, cost, and geography. Moreover, there is  
18 historical distrust of clinical trials,  
19 particularly in marginalized communities of color.

20 Based of few viable treatments, the ability  
21 to use duvelisib as a single oral agent that does  
22 not require the use of an immunosuppressive IV

1 monoclonal antibody is welcomed. While the  
2 toxicities of duvelisib should not be discounted,  
3 it must be aggressively and proactively managed.  
4 Its use remains an option to be discussed between  
5 an informed patient and their doctor as part of a  
6 shared medical decision making.

7 Duvelisib is an active drug for those with  
8 relapsed/refractory CLL, as proven in phase 3  
9 trials. Frankly, our research tells us that the  
10 questionable statistical lack of overall survival  
11 advantage, while not to be ignored, would not be a  
12 deal maker for most patients. By the way, the  
13 crossover in the RESONATE trial, ibrutinib versus  
14 ofatumumab that was referenced early, was a late  
15 trial modification after the overall survival curve  
16 had significantly separated, so comparison with DUO  
17 is fraught. The CLL Society encourages crossover  
18 to ensure clinical equipoise. Trials are for  
19 patients, not the other way around.

20 Many CLL patients on duvelisib may not only  
21 be trying to control their disease -- for a short  
22 period of time as they move towards a transplant or



1 other therapies, making their time on medication  
2 short. For others, duvelisib has provided a  
3 charitable benefit for many. From the patient's  
4 perspective, despite its toxicity, the answer to  
5 this question of risk-benefit of duvelisib being  
6 favorable is a resounding yes.

7           Despite progress, CLL/SLL is not a solved  
8 problem, and we patients need more safe and  
9 effective therapies. Please note, the CLL Society  
10 and other advocacy organizations are willing to  
11 spend whatever time it takes to help find a safe  
12 path to keep duvelisib available, and at the same  
13 time ensure that its serious adverse events are  
14 properly addressed. Thank you for your  
15 consideration in keeping us safe in our incurable  
16 disease, controlled at all stages.

17           **Clarifying Questions to Presenters (continued)**

18           DR. GARCIA: Thank you, speaker number 3.

19           The open public hearing portion of this  
20 meeting has now concluded and we will no longer  
21 take comments from the audience.

22           I'm going to take the prerogative as the

1 chairperson of the meeting. I know there were  
2 three pending clarifying questions from  
3 Dr. Crawford, Dr. Advani, and Dr. Harrington. So  
4 if you three can be precise with your questions to  
5 either the applicant or the FDA, or both, we can  
6 try to tackle those three questions in less than  
7 10 minutes so we can move forward with the  
8 discussion question, if you don't mind.

9 Maybe we can start with Dr. Crawford.

10 DR. CRAWFORD: Thank you, Dr. Garcia.

11 Before the break, several raised questions  
12 regarding the adequacy of the DUO trial design and  
13 ability to interpret results. My question to the  
14 sponsor is on a different direction related to  
15 trial design and adequacy.

16 I very much appreciate the brief comments  
17 made by the sponsor regarding the importance of  
18 real-world evidence. In that vein, I revisited  
19 some comments made by FDA and speaker number 1  
20 during the open public hearing. In their  
21 presentation, the U.S. Food and Drug Administration  
22 noted applicability of the DUO trial to a U.S.

1 population in that only 16 percent of the patients  
2 were enrolled in the United States and over  
3 90 percent of patients were white.

4 In the sponsor's briefing documents that  
5 were made available to us, table 2 shows baseline  
6 demographics for the ITT population and labeled  
7 indication population. For the duvelisib arms, 94  
8 and 95 percent of patients enrolled were white;  
9 respectively, for the ofatumumab arms, 89 and  
10 92 percent were white. In the entire DUO trial,  
11 fewer than 1 percent of enrolled patients were  
12 black. The race of others was either unknown or  
13 not reported.

14 Race and ethnicity may influence overall  
15 survival for CLL for a variety of reasons, so I ask  
16 the sponsor to comment on the representativeness  
17 and generalizability of results of the DUO trial  
18 for us.

19 DR. SIDRANSKY: Before I turn it over to  
20 Dr. Davids, we share always, and I personally  
21 share, those concerns. I think it's something that  
22 we need to grab always in terms of having as much

1 inclusivity as possible. I think, by the way, it's  
2 one of the issues that concerns us, that with the  
3 long list of drugs that are historical and have  
4 very little activity beyond duvelisib, to basically  
5 point patients only to clinical trials, as Brian  
6 Koffman just mentioned, also leads to potentially  
7 some imbalance in terms of being able to accrue  
8 these patients, so I think we all have to do more  
9 in recruiting them.

10 But I'll hand it over to Dr. Davids, who was  
11 involved with the trial, and I'm sure did  
12 everything possible to enroll as many minorities as  
13 possible.

14 Dr. Davids?

15 DR. DAVIDS: Hi. This is Matt Davids. I'll  
16 just comment briefly on this. First, in terms of  
17 the question of patients coming from Europe versus  
18 the United States, the patient populations are  
19 overall very similar between the two geographic  
20 areas. We very frequently collaborate with  
21 European colleagues, and in fact there are examples  
22 of drugs approved in CLL with largely

1 European-based studies.

2 Second, with regard to race, certainly there  
3 were efforts made to recruit underserved minorities  
4 on this and all of our studies, and that is very  
5 important. I will note that genetically, CLL is  
6 more common in Caucasians, and that certainly does  
7 contribute to the limited enrollment of underserved  
8 minorities. Thank you.

9 DR. SIDRANSKY: Thank you for the question.

10 (Pause.)

11 DR. CRAWFORD: Thank you for your response.

12 DR. GARCIA: Thank you for the question.

13 Sorry. I got disconnected.

14 Dr. Advani, you had a question?

15 (No response.)

16 DR. GARCIA: Dr. Advani?

17 (No response.)

18 DR. GARCIA: Dr. Advani, you may be on mute.

19 DR. ADVANI: Can you hear me now?

20 DR. GARCIA: Yes, we can. Please proceed  
21 with your question.

22 DR. ADVANI: Yes. A lot of it was already

1 answered by the real-world slide, which was  
2 presented, but I just have one other question for  
3 the sponsor, please, which is, on the lower doses  
4 in your previous experience, was the rate of  
5 infectious deaths similar or lower, on the lower  
6 doses?

7 DR. SIDRANSKY: I'm going to go ahead and  
8 direct it at Dr. Davids to answer the question.

9 DR. DAVIDS: Thank you for the question.  
10 Although the numbers of patients are, of course,  
11 much smaller on the lower doses, since those were  
12 from the phase 1 study, there was no apparent  
13 difference in the rate of infection compared to the  
14 much larger data set for the approved dose in the  
15 larger studies.

16 DR. SIDRANSKY: And I just want to wrap up  
17 with dose that when we talk about dose, one of the  
18 things today that's common is to talk about dose  
19 interruptions or dose holidays for these kinds of  
20 drugs, and those aren't always easy. It's not just  
21 about just increasing dose, but also trying to  
22 prescribe dose holidays into trials because

1 afterwards, one must look also at the ability of  
2 patients to stay on that kind of regimen; and  
3 stopping all the time, especially for elderly  
4 patients, that can be very difficult. Thank you.

5 DR. ADVANI: Thank you.

6 One more question is, when you have data  
7 from this trial on when -- like some of these  
8 infections and the infections that peak, is it  
9 after -- I know this was a 5-year study, but do you  
10 have a hint that nothing happened before 2 years or  
11 3 years, and then all starts happening much later,  
12 or was there a gradual increase over time?

13 DR. SIDRANSKY: Actually, when we bin the  
14 data -- that's a good question -- we see that at  
15 the beginning there was a slight increase compared  
16 to the trial continued, but it continued to  
17 accumulate. And the only thing we really see is  
18 that after about 24 weeks, when you essentially  
19 have completed the ofatumumab and everybody's  
20 essentially crossed over to duvelisib, that they  
21 just continue to accrue at about the same rate in  
22 the duvelisib patients. So I would say that it

1 remains pretty constant and, again, it doesn't  
2 change much over time. Thank you.

3 DR. ADVANI: Thank you.

4 DR. GARCIA: Thank you.

5 And finally, Dr. Harrington, final question?

6 DR. HARRINGTON: Thank you.

7 I think that we can all agree that because  
8 of the design, it's very difficult to evaluate the  
9 long-term effects on both survival and some of the  
10 toxicities because of the ascertainment bias, so  
11 that to me makes the quality of the time  
12 progression free important, and particularly  
13 important from the patient's perspective.

14 I think we heard mixed messages from the  
15 sponsor and the FDA about the quality-of-life data  
16 that was gathered in the study. I think the FDA,  
17 to summarize if I get it correctly, said it wasn't  
18 particularly reliable, it wasn't relevant, and the  
19 sponsor at one point said that the quality-of-life  
20 data was favorable.

21 So I'd like to hear just a little bit more  
22 from either the FDA or the sponsor, are there



1 reliable data, patient-reported outcomes, and if  
2 not, should we just set that question aside and  
3 base this purely on the hard measurements?

4 DR. SIDRANSKY: I really much appreciate  
5 that question because I think that time and effort  
6 was done to assess this, and as we know in many  
7 trials, it is not.

8 Slide up. Before I hand it over to  
9 Dr. O'Brien and then Dr. Davids, I do want to show  
10 you the slide, and you can start reading it as  
11 Dr. O'Brien will first describe, then Dr. Davids.  
12 But I think the time and effort went in to see it  
13 [indiscernible], and I think it's very favorable  
14 for duvelisib. So slide up, and Dr. O'Brien first,  
15 and then Dr. Davids.

16 DR. O'BRIEN: Yes. It's true that these  
17 quality-of-life measurements are not specific for  
18 CLL patients, but they're certainly very well  
19 accepted quality-of-life indices, and you can see  
20 here they are clearly favoring duvelisib.

21 The other point I want to make is even in  
22 the relapse setting, if a patient relapses but

1 they're on a trial and we're following them  
2 carefully, they may be left with very little  
3 disease. The point is, we do not treat patients  
4 until they become symptomatic, so every patient  
5 going on the DUO trial would have been a patient  
6 who is having symptoms and there were problems  
7 related to their CLL, or they wouldn't have  
8 received any treatment at that point in time. So I  
9 think it's really important to point out that these  
10 are all symptomatic patients, and clearly the  
11 quality-of-life measures that you see there seem to  
12 favor duvelisib. Thank you.

13 DR. SIDRANSKY: Dr Davids?

14 DR. DAVIDS: Yes. I fully agree with that.  
15 I would just add, both related to patient-related  
16 outcomes, as well as the onset of AEs, because  
17 there are appropriately a lot of questions around  
18 that from the committee, I think it is important to  
19 be comparing apples to apples.

20 Really, I think the focus on the first  
21 6 months, comparing duvelisib and ofatumumab with  
22 respect to both the patient-related outcomes and to

1 the AEs, it is informative. And yes, during that  
2 comparable period, you do see somewhat higher risks  
3 of various AEs with duvelisib, but you also see the  
4 benefit.

5 So this is really about the benefit-risk,  
6 and that's why we believe that duvelisib is a  
7 valuable option. That's why when this was just  
8 discussed by the NCCN panel, they agreed with that,  
9 so that's what I would say about that question.  
10 Thank you.

11 DR. SIDRANSKY: And I couldn't have said it  
12 any better. Thank you.

13 DR. GARCIA: And perhaps we could hear from  
14 the FDA. Thank you.

15 DR. GORMLEY: Hi. This is Nicole Gormley.  
16 We'd like to respond as well.

17 I'll start before turning it over to some of  
18 my other colleagues. But just to mention,  
19 specifically, we really value having the PRO data  
20 because, generally, it can provide really  
21 meaningful information about the patient  
22 experience. In cases or situations like this, it

1 has the potential to be done and provide meaningful  
2 information. Unfortunately, in this specific  
3 trial, the data collected has limited relevance and  
4 was not supportive.

5 I'll turn it over to Dr. Richardson to  
6 comment further.

7 DR. RICHARDSON: Hi. Thank you,  
8 Dr. Gormley. Nicholas Richardson, FDA.

9 As mentioned, we actually commend the  
10 sponsor for capturing PRO within the trial because  
11 it can inform toxicity and tolerability. However,  
12 when we looked at the instruments that were used,  
13 the EQ-5D, as Dr. O'Brien mentioned, it's not  
14 specific for CLL, and it's a generic instrument, so  
15 the items really weren't relevant treatment-related  
16 symptoms, items that could inform toxicity and  
17 tolerability. Then when we looked at the other  
18 item, the FACIT-F, there was no observed benefit  
19 when we looked at that measure either.

20 So because of some of the limitations of the  
21 measures that were used, we weren't able to  
22 effectively utilize this data when we assessed

1 toxicity and tolerability from the DUO trial.

2 DR. HARRINGTON: Thank you.

3 **Questions to the Committee and Discussion**

4 DR. GARCIA: Thank you all. I think we're  
5 going to move on.

6 The committee will now turn its attention to  
7 address the task at hand, the careful consideration  
8 of the data before the committee, as well as the  
9 public comments. We will proceed to ask these  
10 questions. I would like to remind public observers  
11 that while this meeting is open for public  
12 observation, public attendees may not participate,  
13 except at a specific request of the panel.

14 So the question for the committee to discuss  
15 seen here is for us to review and discuss the  
16 benefit-risk profile of duvelisib for the currently  
17 indicated population considering the updated  
18 results of the DUO trial.

19 Are there any issues or questions about the  
20 wording of this question?

21 (No response.)

22 DR. GARCIA: If there are no questions or

1        comments concerning the wording of the question, we  
2        will now open the question to discussion.  And  
3        perhaps I can just start by asking the committee,  
4        unless you have a very important question to ask  
5        FDA or the applicant, we should probably just try  
6        to take advantage of the time to actually have a  
7        robust discussion, based upon the data that we have  
8        heard and the documents given to us in the docket.

9                Mr. Mitchell, I see you have a comment.

10               MR. MITCHELL:  Yes, I do, and really I want  
11               to ask the rest of the ODAC to help me here.

12               I'm hearing two distinctly different things  
13               from a lay person's perspective.  One is that the  
14               overall survival data are confounded by crossover  
15               and everybody agrees that that is true.  However,  
16               the FDA says that the overall survival is being  
17               affected by severe adverse events.  When we talked  
18               about is it a safety issue or is it an  
19               effectiveness issue, the FDA said, essentially,  
20               it's a safety issue; then used to back up that core  
21               point, it presented the safety profile of other  
22               drugs in this class.

1 I'm still struggling to figure out what  
2 those conflicting positions mean from a statistical  
3 and a data analysis perspective, and it would be  
4 very helpful to me to hear from the trained members  
5 of the ODAC to untangle those conflicting points.

6 DR. GARCIA: That's a great point,  
7 Mr. Mitchell. I think that we have a statistician  
8 on our roster today, so I wonder if we can perhaps  
9 start with that.

10 Dr. Harrington, maybe you can help us out?

11 DR. HARRINGTON: I just came off mute.

12 Mr. Mitchell, could you repeat that for me  
13 just one more time? I know it is the distinction  
14 between whether it's a safety endpoint or efficacy  
15 endpoint.

16 MR. MITCHELL: It's two things. The sponsor  
17 says that the overall survival benefit is being  
18 confounded by crossover. The FDA says, okay, we  
19 know that there's a problem interpreting this  
20 research design because of the crossover, and  
21 someone along the way asked the question, "Well, is  
22 the overall survival being affected more by

1 efficacy or by adverse events, safety?" And the  
2 FDA said the issue is really about adverse events  
3 and safety, and then presented to support that, the  
4 safety profile of other drugs in this class.

5 So is there an advantage on overall survival  
6 even if we can't tease it out completely because of  
7 the crossover challenge? And really, is the  
8 question before us more about is this a safe drug  
9 for people to take?

10 I'm having a hard time wrestling with that.  
11 I don't think that the discussion so far today has  
12 given me a clear answer to that as a lay person, so  
13 I'm seeking help from those of you who are trained.

14 DR. HARRINGTON: It's a great question.  
15 It's right to the heart of this, and difficult to  
16 evaluate precisely.

17 I think that where I'll begin, and then  
18 perhaps Dr. Freidlin would want to jump in as well,  
19 the potential detriment in survival in the  
20 estimates are not something that we can rely on  
21 because of the confounding, so we really don't know  
22 what the long-term effect of survival is for this



1 agent compared to ofa, because that comparison was  
2 confounded.

3 So the sponsor has spent some time trying to  
4 show us that it's not due to adverse events that  
5 are caused by the drug. I remain unconvinced of  
6 that because there clearly were lots of episodes of  
7 infection that led to death, lots of serious  
8 infection on the duva arm.

9 So I can't tell you exactly how to tease out  
10 what is caused by infection and what is caused by  
11 effectiveness of the drug. I can tell you that,  
12 for me, the signal leans toward the fact that these  
13 side effects of the duva are potentially dangerous  
14 and certainly lead to either decreased survival or  
15 treatment that is compromised in the long run,  
16 which ultimately might lead to decreased survival.

17 So I'll stop there and see if others want to  
18 add to that.

19 DR. GARCIA: Perhaps, Dr. Freidlin -- I know  
20 you have your hand raised -- can help us.

21 DR. FREIDLIN: Yes. Just to clarify, the  
22 question is, is there excessive mortality or

1       detriment on survival for the experimental arm  
2       relative to the standard of care? And because of  
3       the crossover in this trial, the trial cannot  
4       really address this question because the control  
5       arm is not standard of care anymore because the  
6       patients started ofa, and then crossed to the  
7       experimental agent.

8               So that basically makes an unbiased  
9       evaluation of the survival detriment enforceable,  
10      and what you see is that there are 90 patients who  
11      crossed from the control to the experimental arm,  
12      and nine of them had treatment-related mortality.  
13      So that by itself potentially biases down the  
14      estimated detriment because you have -- well,  
15      again, I cannot guarantee that, but theoretically  
16      those 9 deaths should be removed from the control  
17      arm because that wouldn't happen if the patients  
18      hypothetically wouldn't get the drug.

19             So we have a biased estimate of relative  
20      mortality, and that's why I have an issue with this  
21      design. It's impossible to estimate for sure. FDA  
22      presented a model which suggested that, I believe,

1 the hazard ratio could be as high as 1.22 for  
2 survival, but it's a model based on assumption. So  
3 there is no really way for this design to provide  
4 an unbiased estimate of mortality detriment.

5 That's it. Thank you.

6 DR. GARCIA: Thank you, guys.

7 Dr. Nieva?

8 DR. NIEVA: One of the things that maybe we  
9 can talk about to get at Mr. Mitchell's question is  
10 really how well can we look at overall survival in  
11 a chronic disease more than 3 years after a therapy  
12 is given, because that's really where the overall  
13 survival curves really start to cross.

14 When you step back and look at those curves,  
15 they're really not different, and I think when  
16 we're talking about chronic diseases, looking at  
17 overall survival for safety signals is problematic  
18 unless it's obvious. And I think in this case,  
19 there's not an obvious overall survival issue.

20 We're not seeing a bunch of people suddenly die on  
21 the therapy and seeing the survival curves widely  
22 split. So when I see these survival curves and I

1 see them effectively overlapping, I think to some  
2 degree it's reassuring.

3 Back when our cancer patients survived 6 and  
4 12 months with most of their advanced diseases, I  
5 think overall survival was a great metric. But now  
6 when patients are getting 3, 4, 5 subsequent  
7 therapies, after the therapy that was given in the  
8 clinical trial, I think it's really hard to get at  
9 overall survival and trying to blame it on the  
10 therapy that was given 3 therapies before.

11 So I see the main issue here being, does the  
12 drug work against the disease? Is there an obvious  
13 upfront toxicity signal? Is that safety signal  
14 manageable or is it particularly problematic? And  
15 I think that's how I would look at this and try to  
16 interpret the data we've seen. Thank you.

17 DR. GARCIA: Thank you, Dr. Nieva.

18 Just to expand on that, I think that  
19 although I do agree with that statement, for me  
20 it's somewhat hard to look at this data and  
21 separate this data with the other class of agents.  
22 You may recall we had an ODAC meeting back in

1 April, where we really addressed the concerns with  
2 dose optimization, safety, and perhaps survival  
3 detriment with the class of agents, PI3K  
4 inhibitors. And although it may be unfair to some  
5 extent to lump the DUO data into all those other  
6 trials, the reality of it is, it's just hard to  
7 ignore. Right?

8           There is no doubt that patients need a  
9 third-, fourth-line therapy, but the reality of it  
10 is, I'm also questioning if you get a BTK first,  
11 and then you get a bcl-2 inhibitor later, if you go  
12 on a third-line setting, there is really no  
13 prospective data demonstrating that this agent or  
14 the PI3-kinase agents are, in fact, the right  
15 agents.

16           One has to wonder that if we were to develop  
17 a clinical trial -- and again, I'm not a CLL  
18 expert -- today, if we didn't have a PI3-kinase  
19 approved in this space, how would one develop such  
20 a trial? One perhaps will say, I will allow  
21 patients to have failed prior BTKs, prior bcl-2's,  
22 and then randomize patients to what? To duvelisib,

1 against what? What will be the control arm in  
2 those trials?

3 So I think for me, the question has been,  
4 how do you put this data into the general context  
5 of what I have seen as potential detrimental  
6 outcome with the PI3K inhibitors in this patient  
7 population, with a very long natural history, I may  
8 add.

9 Dr. Madan, do you have a comment?

10 DR. MADAN: Yes. I just wanted to say that  
11 I think Dr. Nieva crystallized my perspective on  
12 this very well. And, Mr. Mitchell, this is a very  
13 complicated thing, and you are not alone in trying  
14 to figure out an obvious answer, because I don't  
15 think there is one.

16 But let's come back to this whole class  
17 versus the specific question we are being asked  
18 today. I think it's important to focus on this  
19 trial because I was part of that ODAC in April as  
20 well, and it was a more general conversation. But  
21 when you dial down into the specifics, you could  
22 see why there's an overall survival question here

1 in this particular trial.

2 So for me, I'm hard-pressed to rely on a  
3 class effect as being a tiebreaker in a situation  
4 where I think there's very clearly a lack of  
5 definitive data on either side of this. I feel  
6 like, for me, when I look at this data, I interpret  
7 it within the context of the toxicities we're  
8 seeing, the disease state, as opposed to a broader  
9 class effect where other agents may target, in  
10 theory, the same pathway, but the off-target  
11 effects may be very different and have other types  
12 of toxicities.

13 The other thing I'll say, just to kind of  
14 build on what Dr. Nieva said, is we're kind of  
15 looking at deaths related to treatment, or deaths  
16 on treatment, that are separated by years, with the  
17 control arm being a short-term treatment and the  
18 experimental treatment here being a long-term  
19 treatment, so they're separated often by the  
20 ultimate natural history of the disease. And for  
21 me, again, that's hard to really isolate what is  
22 treatment related and what is ultimately inevitable

1 of disease progression. So I just thought I'd put  
2 that out there.

3 DR. GARCIA: Thank you, Dr. Madan.

4 Dr. Sekeres?

5 DR. SEKERES: Yes. Thank you, Dr. Garcia.

6 This is complicated, and I think it's  
7 complicated, as the FDA indicated earlier, because  
8 of the study design. You have one arm that's  
9 self-limited for about 6 to 7 months of therapy,  
10 and then another arm that's given ad infinitum.  
11 Then we're expected to try to figure out how many  
12 of these excess deaths were due to duvelisib alone  
13 versus subsequent therapy. And when I tried to get  
14 at this earlier, the FDA did show a slide that very  
15 specifically identified adverse events that were  
16 treatment related to duvelisib that were in excess.

17 So I look at this, and also I'm reflecting  
18 on what you said, Dr. Garcia, about what's  
19 essentially the totality of data of this class of  
20 agents, which shows that there's a problem here.  
21 These drugs do have toxicities, and we're willing  
22 to accept a certain amount of toxicity for



1 extraordinary benefits with life-threatening  
2 diseases.

3           What I'm not seeing is the equation for that  
4 totality of data really adding up here. We're  
5 seeing what appear to be excess toxicities that are  
6 very specific to this class and are reproduced in  
7 different members of this class. We're seeing a  
8 progression-free survival advantage that may have  
9 been jump-started a bit by the trial design itself,  
10 where you have one drug given continuously and  
11 another drug given only for a shorter period of  
12 time, and then stopped, and we're not seeing an  
13 improvement in overall survival.

14           Progression-free survival, at least in my  
15 mind, is not the end game. The end game is  
16 improvement in overall survival. Progression-free  
17 survival gets us there, particularly with chronic  
18 diseases where people are going to live years with  
19 them. So here we actually have the 5-year  
20 follow-up, and we don't see an improvement in that  
21 overall survival. We do see excess toxicities.  
22 And I just think we have to remember the end game

1       itself is not the progression-free survival; the  
2       endgame is overall survival. And you can argue  
3       about the lack of significance of the excess death  
4       rate, but I don't think you can argue that in the  
5       end, duvelisib is allowing people to live longer,  
6       long term.

7               DR. GARCIA: Thank you, Dr. Sekeres.

8               Ms. Nadeem-Baker?

9               (No response.)

10              DR. GARCIA: Ms. Nadeem-Baker, you may be on  
11       mute.

12              MS. NADEEM-BAKER: [Inaudible] -- for  
13       patients. Can you hear me now?

14              DR. GARCIA: Yes. Please go ahead.

15              MS. NADEEM-BAKER: For patients, we're not  
16       talking this is frontline therapy, nor secondary  
17       therapy. This would be when both of those have  
18       failed, if I'm understanding this correctly. This  
19       would be the third line of therapy, and given that,  
20       for some patients, many of them, this could mean  
21       life or death to begin with.

22              So that is how I'm thinking about this, but

1 I may be thinking about that incorrectly, but it  
2 does seem that way, as the two other classes of  
3 drugs that are mostly used now, if they had been  
4 exhausted, then this would be the third line of  
5 therapy. And again, it's a chronic disease; it's  
6 very complicated.

7 So for the patient experience, I think if it  
8 would be a life or death decision, and if patients  
9 are educated by their doctors before they would  
10 even go on duvelisib, and I believe that's already  
11 in the REMS, maybe boost that up a bit on what's  
12 required -- and again, this is, of course, in  
13 patient, layman's language on my part -- and it  
14 would be an option for patients to live longer.

15 DR. GARCIA: Thank you.

16 Dr. Kraus?

17 DR. KRAUS: Yes. Thank you, Dr. Garcia.

18 Albert Kraus, industry representative.

19 It is a very complicated situation. Truly  
20 on the safety level, I just want to remind this  
21 drug has full approval in an indicated kind of  
22 refractory setting, so this is about whether it

1       could cause harm, not about did they confirm  
2       benefit, which most of you obviously know, but I  
3       just thought I'd clarify that -- quite different  
4       than some discussion -- and whether it causes harm  
5       versus we didn't rule harm out.

6               Obviously, safety is critical and survival  
7       is the ultimate endpoint, assuming you can achieve  
8       it without making it so bad on patients they don't  
9       care about an extra little time. But one of the  
10       things here is balancing it, and I think it was  
11       just stated, is this ability to treat patients who  
12       have alternatives within the indication and also  
13       potentially lengthen their life, and give them  
14       other alternatives, time for other drugs, or other  
15       treatments.

16               One of the things -- and this will go back,  
17       and I'll probably cycle back to Dr. Harrington at  
18       the end -- is I'm struck with this is a design in  
19       the trial that doesn't tell you much about OS, in  
20       my view. It's duva versus duva -- or ofa followed  
21       by duva. And if I go back to how we look at data  
22       for many different trials, if we were here talking

1 about efficacy, and we had instead of a 1.06 hazard  
2 ratio with 92 percent on confidence, and 0.71 to  
3 1.58, if we're talking about efficacy and we say  
4 "Gee, we have a 0.94 hazard ratio; we think it's a  
5 benefit," and the hazard ratio is 0.71 to 1.58 or  
6 thereabout, I think everyone would kind of laugh us  
7 out of the room, and FDA would say, "Absolutely  
8 not. We don't know that's anything different than  
9 1, or maybe worse."

10 So this presumption that we have evidence  
11 from this trial that there's a hazard, I think we  
12 have to be careful statistically about that. So I  
13 would ask Dr. Harrington to speak to that premise.  
14 I understand, and I thought the discussion around  
15 taking it back to treatment-related toxicity and  
16 death is a valuable one because you can kind of see  
17 it related to treatment during a time frame. But  
18 the overall OS state is so confounded in so many  
19 ways here -- subsequent therapy, et cetera -- with  
20 these hazard ratios, I don't know why we think  
21 there's hazard from those numbers, to be honest.

22 So I'd ask Dr. Harrington, if you get a

1 hazard ratio of 1.06 or 0.94 with these kind of  
2 error bars, is that point estimate to be believed  
3 is different than 1?

4 DR. HARRINGTON: So that's a great question.  
5 To answer that question directly, no. When you  
6 have a wide confidence interval, that point  
7 estimate could bounce around in a fairly large  
8 range of values. I think even more to the point  
9 here with this trial is that even that estimate and  
10 its confidence interval, the uncertainty is not in  
11 how precisely that was measured; the uncertainty  
12 really is in the confounding that was induced by  
13 the design. In other words, that hazard ratio  
14 could be completely wrong. And as Dr. Freidlin  
15 pointed out, it's very, very difficult to make it  
16 right given that we're faced with only the data  
17 from the design.

18 I think my statistical intuition here is  
19 that it would not be right to view this therapy as  
20 a third-line option that would extend life. A  
21 couple of people have pointed out maybe this is a  
22 good option for patients in third line who want to

1 live a little bit longer. I think the data  
2 suggests that this might be a good option where you  
3 might get some additional progression-free time,  
4 but the data do not at all support a claim that  
5 this would extend life.

6 Now, that's different, of course, than what  
7 the FDA and the sponsor have addressed here, and  
8 that's whether it's harmful and whether there's a  
9 survival decrement. We can't say that there is,  
10 that's an answer that no one likes to hear, but  
11 it's very, very hard to say reliably here, based on  
12 the trial data, that this is causing an increased  
13 death rate.

14 So for me, as others have pointed out and  
15 Dr. Garcia does, I rely on the data that comes from  
16 the class of drugs, which says a potential survival  
17 disadvantage here has been seen in several similar  
18 agents across trials. So while we don't have a  
19 confirmed survival decrement based on this design,  
20 I think we're forced to use external data that's  
21 from those trials.

22 So I am certain you cannot say, as I said

1 before and I'll stop, that this is a third-line  
2 option that will give patients a little bit longer  
3 to live, but that I think is fairly clear.

4 DR. GARCIA: Thank you.

5 I have to say that I have been informed that  
6 the public has lost access to the meeting, and  
7 therefore since it's a public meeting, we will have  
8 to take a 5 to 10-minute break until we allow the  
9 public to have access to our discussions. So  
10 please stand by. Our DFO and technical team are  
11 working behind the scenes to have the public  
12 reconnected, and we can rethink our discussion and  
13 conversation when we left it. Thank you.

14 (Whereupon, at 12:56 p.m., a recess was  
15 taken.)

16 DR. GARCIA: I understand that YouTube is  
17 experiencing international outages that are  
18 impacting live streams all throughout. An  
19 alternative link has been posted on the YouTube  
20 webpage, and an alternative link is also being  
21 posted on the FDA meeting notice page.

22 Dr. Chen, can we start again or at least



1 getting back to our discussion?

2 DR. S. CHEN: Thank you, Dr. Garcia. This  
3 She-Chia, the DFO. Thank you all for your  
4 patience. Yes, just momentarily, we'll switch to  
5 the discussion question page, and then we can start  
6 from there. Thank you.

7 DR. GARCIA: Thank you.

8 Alright. I think we all are hoping that the  
9 public has been able to reconnect in the new links  
10 provided, and we've been, again, reviewing and  
11 discussing the benefit-risk profile of duvelisib  
12 for the currently indicated population considering  
13 the updated results of the DUO trial.

14 Dr. Harrington, I don't want to steal your  
15 thunder or speak for you, but Dr. Harrington was  
16 just summarizing some of the challenges with the  
17 clinical trial design and the inability with that  
18 design to be able to actually demonstrate with  
19 certainty any potential detriment in overall  
20 survival, based upon the DUO trial.

21 So perhaps we can move on to Dr. Lieu.

22 DR. LIEU: Thanks so much. I'll try to make

1 this relatively quick. I just want to point out  
2 that I certainly agree and appreciate Dr. Nieva's  
3 point about if you have an indolent disease, and  
4 overall survival, obviously, is very difficult to  
5 figure out in that setting, then you become more  
6 compelled by overall response rate and  
7 progression-free survival. I think the  
8 progression-free survival benefit here is  
9 compelling and certainly appreciate Ms. Nadeem's  
10 point that we want more drugs in this setting. We  
11 don't want to sit in front of patients and tell  
12 them that we have nothing to offer them.

13 I do want to make the mention of this point,  
14 though, and that is the bar that we set and the  
15 toxicity we expect our patients to be able to  
16 handle or tolerate in a setting where you have  
17 either an aggressive disease or an indolent  
18 disease. If you have a disease where survival is  
19 measured in weeks to months, the bar that you set  
20 for toxicity and what you're expecting out of a  
21 therapy is pretty low, then that setting would  
22 expect or be able to tolerate I think a lot of

1 toxicity.

2 But the flip side is also true. If you have  
3 an indolent disease, what is the cost to our  
4 patients that we're going to expect out of a  
5 therapy, in a setting where we're not sure that it  
6 improves overall survival? I just bring this up  
7 because in the duva arm, the treatment-emergent  
8 adverse event rate was 14 percent in terms of a  
9 rate of death, and that's not insignificant, and  
10 that's an incredibly high cost.

11 One of my concerns is that -- and I'm sure  
12 there are patients that are alive and that are well  
13 today because of this treatment, but we also know  
14 that the flip side is true, and I think that's what  
15 makes this decision so difficult, is that there are  
16 patients that have passed away because of this  
17 medication. So it's not just about offering  
18 treatment options and seeing the response rate,  
19 which I think is impressive, but also the cost in  
20 terms of toxicity, and in this situation, death.  
21 So the concern here is that you may have deaths  
22 related to not only disease but actually treatment

1 here, and I think that that's the concern that  
2 we're facing.

3 DR. GARCIA: Thank you, Dr. Lieu.

4 Dr. Chen?

5 DR. A. CHEN: Thank you. I just wanted to  
6 comment that CLL is not always an indolent disease.  
7 In this situation at third line where it's relapsed  
8 or failed bcl-2 inhibitor and BTK inhibitor, it's  
9 much more aggressive, so the toxicities we may be  
10 willing to accept are higher. And that's where the  
11 sponsor has been pitching this, but there is  
12 actually very little data to suggest much efficacy  
13 of this in that setting. There aren't any large  
14 series, so it makes this decision difficult. And I  
15 would agree with some of the other comments that  
16 the overall survival, the detriment is relatively  
17 small and the hazard ratio crosses 1, which makes  
18 it very difficult to interpret. Thank you.

19 DR. GARCIA: Thank you.

20 Dr. Freidlin?

21 DR. FREIDLIN: Dr. Harrington already made  
22 my point. Thank you very much.

1 DR. GARCIA: Thank you.

2 Dr. Madan, you have another comment?

3 DR. MADAN: No. Sorry. I'll take my hand  
4 down.

5 DR. GARCIA: Dr. Sekeres?

6 DR. SEKERES: No. Sorry.

7 DR. GARCIA: Alright. Perhaps I can  
8 summarize some of our discussion. I appreciate  
9 everyone -- despite of the technical difficulties,  
10 I think we were able to brainstorm a bit.

11 Clearly, let me just start by saying that  
12 all of us feel that this is a complex situation  
13 just by virtue of the design of the clinical trial.  
14 Some of the themes of our discussions really relate  
15 to our inability of using this clinical trial  
16 design to really determine the true potential  
17 detriment in outcome on patients receiving  
18 duvelisib, and clearly that it relates to the  
19 confounding effect of crossover.

20 Some committee members also talked about the  
21 challenges of the trial design just by virtue of  
22 thinking of the trial as frontline duvelisib

1 against sequential duvelisib, if you will, and  
2 equally important, whether or not duvelisib is in  
3 fact the right agent in the third-line setting  
4 after patients get contemporary therapy with BTK  
5 inhibitors and/or bcl-2 inhibitors, for which right  
6 now is a pretty open space, and there's no  
7 prospective data, at least level 1 data, suggesting  
8 its activity in that space.

9           There were comments related to the concerns  
10 of significant treatment-related AEs, some of which  
11 could lead to death in the duvelisib arm, and what  
12 we probably related to excess toxicity and the  
13 inability to really know if they were related to  
14 true treatment effects or progression of disease  
15 while they were on treatment or in subsequent  
16 therapy.

17           There were comments related to specifically  
18 that the end game for our clinical trials in this  
19 context is not PFS, but rather overall survival.  
20 Clearly, it is hard to look at this data in the  
21 absence of the clinical data that we have had with  
22 all PI3-kinase inhibitors as a class effect, if you

1 will, in these diseases that are chronic in nature,  
2 that have a long enough natural history,  
3 recognizing that some patients may not have that  
4 long natural history. So it has been, obviously, a  
5 complex discussion, and I predict that it's not  
6 going to be an easy vote when we come to that  
7 process.

8 If there is no further discussion on this  
9 question, we will now begin the next question. We  
10 will now move on to question 2, which is a voting  
11 question. Dr. She-Chia Chen will provide the  
12 instructions for the voting.

13 DR. S. CHEN: Thank you, Dr. Garcia.

14 Question 2 is a voting question. Voting  
15 members will use the Adobe Connect platform to  
16 submit their votes for this meeting. After the  
17 chairperson has read the voting question into the  
18 record and all questions and discussion regarding  
19 the wording of the vote question are complete, the  
20 chairperson will announce that voting will begin.

21 If you are a voting member, you will be  
22 moved to a breakout room. A new display will

1 appear where you can submit your vote. There will  
2 be no discussion in the breakout room. You should  
3 select the radio button that is the round circular  
4 button in the window that corresponds to your vote,  
5 yes, no, or abstain. You should not leave the "no  
6 vote" choice selected. Please note that you do not  
7 need to submit or send your vote. Again, you need  
8 only to select the radio button that corresponds to  
9 your vote. You will have the opportunity to change  
10 your vote until the vote is announced as closed.  
11 Once all voting members have selected their vote, I  
12 will announce that the vote is closed.

13 Next, the vote results will be displayed on  
14 the screen. I will read the vote results on the  
15 screen into the record. Next, the chairperson will  
16 go down the roster and each voting member will  
17 state their name and their vote in the record. You  
18 can also state the reason why you voted as you did,  
19 if you want to.

20 Are there any questions about the voting  
21 process before we begin?

22 (No response.)



1 DR. GARCIA: Question 2 -- I've displayed  
2 the voting question -- is a long question, so I'm  
3 going to read it.

4 Given the potential detriment in overall  
5 survival, duvelisib-associated toxicity, concerns  
6 with the selected dose, and the safety issues with  
7 the PI3-kinase inhibitor class, is the benefit-risk  
8 profile of duvelisib favorable in patients with  
9 relapsed or refractory CLL or SLL after at least  
10 2 prior therapies?

11 Are there any issues or questions about the  
12 wording of this question?

13 (No response.)

14 DR. GARCIA: If there are no questions or  
15 comments concerning the wording of the question, we  
16 will now begin the voting on question number 2.

17 DR. S. CHEN: We will now move voting  
18 members to the voting breakout room to vote only.  
19 There will be no discussion in the voting breakout  
20 room.

21 (Voting.)

22 DR. S. CHEN: The voting has closed and is

1 now complete. Once the vote results are displayed,  
2 I will read the vote results into the record.

3 (Pause.)

4 DR. S. CHEN: The voting has closed and is  
5 now complete. The vote results are displayed. I  
6 will read the vote totals into the record, a total  
7 of 4 yeses, 8 noes, and zero abstentions.

8 The chairperson will go down the list and  
9 each voting member will state their name and their  
10 vote into the record. You can also state a reason  
11 why you voted as you did, if you want to. Thank  
12 you.

13 DR. GARCIA: Thank you.

14 We will now go down the list and have  
15 everyone who voted state their name and vote into  
16 the record. You may also provide justification for  
17 your vote if you wish to.

18 We'll start with Dr. Chen.

19 DR. A. CHEN: Andy Chen. I voted no. This  
20 is an efficacious drug, but I don't think it met  
21 the bar of safety.

22 DR. GARCIA: Thank you.

1 Dr. Freidlin?

2 DR. FREIDLIN: Boris Freidlin. I voted no  
3 for the following three reasons: first increase of  
4 toxicity; second, in the absence of OS improvement,  
5 modest PFS prolongation from continuous dose, and  
6 dose verse fixed administration is a questionable  
7 clinical benefit; and third, potential mortality  
8 detriment in the DUO trial, supported by experience  
9 with other PI3K inhibitors.

10 DR. GARCIA: Thank you.

11 Dr. Lieu?

12 DR. LIEU: This is Chris Lieu. I voted no.  
13 I thought this data was extremely difficult to  
14 interpret, but I'm in agreement with what's already  
15 been said. In the end, I do have concerns about  
16 this class of medication, and if we're not clearly  
17 improving overall survival in our patients but  
18 we're increasing toxicity and treatment-associated  
19 death, I'm not sure that we're truly helping  
20 patients. Thank you.

21 DR. GARCIA: Thank you.

22 Dr. Harrington?

1 DR. HARRINGTON: Dave Harrington. I voted  
2 no. Most of my reasons coincide exactly with  
3 Dr. Freidlin's and others. The other thing that I  
4 might add here is that as the FDA has pointed out,  
5 it's incumbent upon the sponsor to establish that  
6 there was a favorable risk-benefit profile, and I  
7 think given the current context, the data about  
8 this class, and extended follow up on this study, I  
9 don't think they've established that.

10 DR. GARCIA: Thank you.

11 Mr. Mitchell: Yes. This is really  
12 challenging. In large measure, because of the  
13 design of the trial, I think the sponsor hasn't  
14 shown substantial evidence that the drug is safe,  
15 and it may actually cause extreme harm, and even  
16 death in patients. The safety data from the other  
17 drugs in this class also informed my vote.

18 DR. GARCIA: Thank you, Mr. Mitchell.

19 Jorge Garcia. I voted no. I think I've  
20 stated earlier, I think this agent may work for  
21 some patients, may delay progression, but  
22 ultimately, as Dr. Harrington mentioned earlier,

1 these data do not support that this agent does  
2 prolong life, and on the contrary, appears to lead  
3 to excess toxicity for some.

4 Dr. Nieva?

5 DR. NIEVA: George Nieva. I voted yes. I  
6 think the drug reduces the burden of CLL in many  
7 patients. I do want to compliment the FDA and OCE  
8 for all the work they've done to bring to light the  
9 potential toxicity of this agent. They've done a  
10 great job issuing warnings on its use.

11 Some physicians and patients will determine  
12 that the data is insufficient to justify use of the  
13 drug; others will think it's the right drug for the  
14 right situation. Ultimately, I trust the decision  
15 making of physicians and patients to make informed  
16 decisions, and would like to see this drug  
17 available. Thank you.

18 DR. GARCIA: Thank you.

19 Ms. Nadeem-Baker?

20 (No response.)

21 DR. GARCIA: Ms. Nadeem-Baker?

22 MS. NADEEM-BAKER: Yes. I voted yes for

1 many of the same reasons as Dr. Nieva. This does  
2 work very well to bring down disease burden for  
3 patients who have already been on other therapies.  
4 The FDA is doing a great job, and I appreciate the  
5 importance of monitoring available drugs for  
6 toxicities, but for patients who have exhausted  
7 other treatments out there, this is needed in the  
8 arsenal of drugs for CLL patients, and now CLL  
9 patients are living longer thanks to other drugs,  
10 and they will need to be on treatment for decades.  
11 So this is why I voted yes.

12 DR. GARCIA: Thank you.

13 Dr. Sekeres?

14 DR. SEKERES: Hi. This is Mikkael Sekeres,  
15 and I voted no. I think that with this drug and  
16 this class of drugs, we are playing with fire.  
17 This drug had modest activity with significant  
18 toxicity, as did other members of this class, and  
19 was compared to a drug that we would no longer use  
20 in this setting. This drug itself, we would no  
21 longer use in this setting as patients receive  
22 other drugs such as BTK inhibitors and bcl-2

1 inhibitors, for which they would have been  
2 disqualified from the study. So we're left with a  
3 drug that has substantial toxicities and  
4 questionable indication today.

5 DR. GARCIA: Thank you.

6 Dr. Advani?

7 (No response.)

8 DR. GARCIA: Dr. Advani?

9 DR. ADVANI: Yes. Can you hear me now?

10 DR. GARCIA: Yes.

11 DR. ADVANI: Sorry about that.

12 I voted yes, mainly because I am not sure  
13 that the data was completely -- because of the  
14 study design, and everything, and the crossover,  
15 whether the overall survival detriment is as robust  
16 in this trial as made out to be. I do think this  
17 is an unmet need in this patient population. I  
18 acknowledge it's a class effect, and I really  
19 commend the FDA and applaud them for actually  
20 pointing this out to the broader community, and I  
21 hope that they will have the sponsor keep vigil on  
22 this trial, and maybe provide another follow-up a

1 year or two years down the line. And if this trend  
2 continues and becomes a stronger signal, we can  
3 revisit this question. But for now I wasn't sure  
4 that the data were completely compelling to vote  
5 no.

6 DR. GARCIA: Thank you.

7 Dr. Madan?

8 DR. MADAN: Yes. This is Ravi Madan. I  
9 voted yes. I think the task for the ODAC today was  
10 especially complicated. The use of a crossover  
11 design is very common in oncology and often seen as  
12 something advantageous to patients, as well as a  
13 accrual, and crossover is often a functional  
14 consequence of doing a study in the more indolent  
15 cancer, but in this case, the crossover design and  
16 also the asymmetric treatment exposure creates a  
17 very convoluted picture.

18 The true survival benefit of the  
19 investigative agent here may be obscured, and as  
20 the FDA suggested, a safety signal may also be  
21 somewhat obscured. But the FDA did not dispute the  
22 clinical efficacy of this therapy. I think as the



1 discussion today highlights, to a large degree, the  
2 available data can only partially inform opinions  
3 on the matter of safety, especially when  
4 progression may be associated with safety events in  
5 late-stage patients.

6 I've no doubt that duvelisib has toxicity  
7 associated with prolonged use, but I also have no  
8 way of accurately putting that toxicity data into  
9 the broader context of the disease state as opposed  
10 to a relative and asymmetric comparison to the  
11 control arm within this trial. Furthermore, I  
12 believe shifting treatment landscape of the disease  
13 state, continued FDA approval, and the class effect  
14 potential are really beyond the scope of this  
15 particular question.

16 Thus, it is key to me that this question is  
17 asking about the potential benefit in late-stage  
18 disease after at least two other therapies. In  
19 that case, perhaps the toxicities are warranted,  
20 given the higher stakes in late-stage disease. In  
21 this case, we may have to rely on the expertise of  
22 the treating physicians in making the choice to use

1 this agent if it continues to be available. Thank  
2 you.

3 DR. GARCIA: Thank you, Ravi.

4 Dr. Crawford?

5 DR. CRAWFORD: This is Stephanie Crawford.

6 I voted no. Duvelisib is a benefit to some  
7 patients, though it's difficult to quantify the  
8 overall survival. Safety signals regarding  
9 toxicities, treatment-emergent AEs, and deaths are  
10 inconclusive, but they strongly warrant further  
11 study and consideration. Some aspects of the  
12 adequacy of the DUO trial design are fuzzy. The  
13 enrolled population was not sufficiently  
14 representative, and continued study would be  
15 strongly encouraged. Thank you.

16 DR. GARCIA: Thank you, Dr. Crawford.

17 Clearly, I think we all wrestle with the  
18 same challenges as a committee, and that probably  
19 is reflected on the difference in the vote. For  
20 those who voted yes, clearly it became the  
21 inability with the clinical trial design to fully  
22 be able to demonstrate detrimental outcome in

1 survival. They all felt the need for this agent in  
2 a heavily pretreated patient population when  
3 there's clearly an unmet clinical need for those  
4 patients, and may be able to actually use this  
5 treatment as a bridge to whatever next those  
6 patients may be able to get. Those who voted yes  
7 also felt that this would be something that the MD  
8 and the patient themselves should be able to  
9 actually address rather than us and the committee.

10 But all of us who actually felt that the  
11 answer was no, I think that I can summarize that in  
12 three statements. I don't think the data presented  
13 support that this agent does prolong life.

14 Although the agent does have some activity and  
15 benefit for some patients, there are significant  
16 concerns for long-term toxicities and death related  
17 to some.

18 We all felt that it's hard to ignore the  
19 class effect of all PI3-kinase inhibitors, and  
20 certainly that was part of, also, some voting  
21 members' decision, and really the inability to  
22 fully demonstrate survival detriment because of the

1       confounding effect, something that really put a lot  
2       of pressure on our voting perhaps. But also  
3       equally important is how active this agent really  
4       is now that we're using an absolutely different  
5       treatment paradigm in the management of these  
6       patients with BTK inhibitors and bcl-2 inhibitors  
7       up front, and clearly there is no clear data to  
8       suggest that this agent, at least prospectively as  
9       I said earlier, would have any true benefit for  
10      this patient population in the contemporary  
11      setting.

12                Before we adjourn, are there any last  
13      comments from the FDA?

14                DR. S. CHEN: Excuse me, Dr. Garcia. This  
15      is the designated federal officer, She-Chia Chen.  
16      Before we go there, I would like to invite  
17      Dr. Advani -- can you please confirm your vote for  
18      the record, please? Thank you.

19                (No response.)

20                DR. GARCIA: Dr. Advani, I think the team  
21      needs to reconfirm your vote.

22                Go ahead.

1 DR. ADVANI: I voted yes.

2 DR. S. CHEN: Thank you.

3 DR. GARCIA: Thank you.

4 Again, are there any final comments from the  
5 FDA?

6 DR. GORMLEY: Yes. This is Nicole Gormley.  
7 I'd like to thank the committee for your  
8 deliberations and discussion. We really value your  
9 input, so thank you very much.

10 **Adjournment**

11 DR. GARCIA: Thank you, Dr. Gormley.

12 I'd like to actually express my gratitude to  
13 the entire members of the public; the FDA; the  
14 applicant, Secura Bio, Inc., and the entire  
15 committee for a robust discussion. Clearly, it's  
16 not an easy decision sometimes to vote. I always  
17 believe that in these circumstances, we're not  
18 asked to regulate or to define regulatory pathways  
19 for agents, but rather to review the data that is  
20 presented, and for us to provide our clinical  
21 expertise, and I think that's probably elements of  
22 what you saw today.

1           So thank you all for an active participation  
2           and have a great weekend, and stay safe and  
3           healthy. Thank you all. We adjourn the meeting  
4           now.

5                       (Whereupon, at 1:37 p.m., the meeting was  
6           adjourned.)

7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22