

1 FOOD AND DRUG ADMINISTRATION

2 CENTER FOR DRUG EVALUATION AND RESEARCH

3

4

5 PULMONARY-ALLERGY DRUGS ADVISORY COMMITTEE MEETING

6 (PADAC)

7

8

9

10 Virtual Meeting

11

12

13

14

15

16 Tuesday, November 8, 2022

17 10:02 a.m. to 4:04 p.m.

18

19

20

21

22

## Meeting Roster

**DESIGNATED FEDERAL OFFICER (Non-Voting)**

## Takyiah Stevenson, PharmD

## 4 Division of Advisory Committee and

## 5 Consultant Management

6 | Office of Executive Programs, CDER, FDA

7

**PULMONARY-ALLERGY DRUGS ADVISORY COMMITTEE MEMBERS**

9 | (Voting)

David H. Au, MD, MS

11 (Chairperson)

12 Professor of Medicine

13 University of Washington

14 Director

15 Center of Innovation for Veteran-Centered and

16 | Value-Driven Care

17 VA Puget Sound Health Care System

18 Seattle, Washington

19

30

21

1           **Scott E. Evans, MD, FCCP, ATSF**

2           Professor & Chairman ad interim

3           Department of Pulmonary Medicine

4           Rebecca Meyer Brown and Joseph Mellinger Brown

5           Chair in Basic Science Research

6           University of Texas MD Anderson Cancer Center

7           Houston, Texas

8

9           **Fernando Holguin, MD, MPH**

10          James C. Campbell Professor in Pulmonary Medicine

11          Associate Vice Chair of Research

12          Department of Medicine

13          Director of the Asthma Program at the Center for

14          Lungs and Breathing

15          Director of the Pulmonary Translational Core

16          Division of Pulmonary Sciences and

17          Critical Care Medicine

18          University of Colorado

19          Aurora, Colorado

20

21

22

1           **Edwin H. Kim, MD, MS**

2           Associate Professor

3           Division of Pediatric Allergy and Immunology

4           University of North Carolina School of Medicine

5           Chapel Hill, North Carolina

6

7           **Susanne May, PhD**

8           Director

9           University of Washington Clinical Trials Center

10           Professor, Department of Biostatistics

11           University of Washington

12           Seattle, Washington

13

14           **James M. Tracy, DO**

15           Clinical Professor of Pediatrics

16           University of Nebraska College of Medicine

17           Allergy, Asthma and Immunology Associates, PC

18           Omaha, Nebraska

19

20

21

22

1           **PULMONARY-ALLERGY DRUGS ADVISORY COMMITTEE MEMBER**2           **(Non-Voting)**3           **Dawn M. Carlson, MD, MPH**4           *(Industry Representative)*

5           Vice President

6           Clinical Pharmacology

7           Abbvie, Inc

8           North Chicago, Illinois

9

10           **TEMPORARY MEMBERS (Voting)**11           **Michael D. Cabana, MD, MPH**

12           Professor and Chair of Pediatrics

13           Physician-in-Chief

14           The Children's Hospital at Montefiore (CHAM)

15           Albert Einstein College of Medicine

16           Bronx, New York

17

18

19

20

21

22

1           Mary Cataletto, MD

2           Clinical Professor of Pediatrics

3           New York University (NYU) Grossman School of  
4           Medicine

5           Pediatric Pulmonologist

6           NYU Health

7           Mineola, New York

8

9           Michelle M. Cloutier, MD

10          Professor Emerita Pediatrics and Medicine

11          Departments of Pediatrics and Medicine

12          University of Connecticut School of Medicine  
13          Farmington, Connecticut

14

15          Mark S. Dykewicz, MD

16          Raymond and Alberta Slavin Endowed Professor in  
17          Allergy and Immunology

18          Professor of Internal Medicine

19          Chief, Section of Allergy and Immunology

20          Saint Louis University School of Medicine

21          Saint Louis, Missouri

22

1           **Paul A. Greenberger, MD**

2           Professor of Medicine (Emeritus)

3           Division of Allergy and Immunology

4           Department of Medicine

5           Northwestern University Feinberg School of Medicine

6           Chicago, Illinois

7

8           **Sally Hunsberger, PhD**

9           Biostatistician

10          Biostatistics Research Branch

11          National Institute of Allergy and Infectious

12          Diseases

13          National Institutes of Health

14          Bethesda, Maryland

15

16

17

18

19

20

21

22

1           **Bridgette L. Jones, MD, MSc, FAAAAI, FAAP**

2           Professor of Pediatrics  
3           Division of Allergy, Asthma, Immunology and  
4           Pediatric Clinical Pharmacology, Toxicology and  
5           Therapeutic Innovation  
6           Children's Mercy Kansas City  
7           Assistant Academic Dean, Student Affairs  
8           University of Missouri-Kansas City School of  
9           Medicine  
10           Kansas City, Missouri

11  
12           **Alex Kaizer, PhD**

13           Assistant Professor  
14           Department of Biostatistics and Informatics  
15           University of Colorado-Anschutz Medical Campus  
16           Aurora, Colorado

17  
18           **Randi Oster, MBA**

19           *(Acting Consumer Representative)*  
20           Co-Founder and President, Help Me Health  
21           Fairfield, Connecticut

1                   **Jennifer A. Schwartzott, MS**

2                   *(Patient Representative)*

3                   North Tonawanda, New York

4

5                   **James K. Stoller, MD, MS**

6                   Professor of Medicine

7                   Chairman, Education Institute

8                   Cleveland Clinic Foundation

9                   Cleveland, Ohio

10

11                  FDA PARTICIPANTS (Non-Voting)

12                  **Sally Seymour, MD**

13                  Director

14                  Division of Pulmonology, Allergy, and Critical Care

15                  (DPACC)

16                  Office of Immunology and Inflammation (OII)

17                  Office of New Drugs (OND), CDER, FDA

18

19                  **Kelly Stone, MD, PhD**

20                  Associate Director for Therapeutic Review

21                  DPACC, OII, OND, CDER, FDA

22

1           **Elisabeth Boulos, MD**

2           Clinical Reviewer

3           DPACC, OII, OND, CDER, FDA

4

5           **Yongman Kim, PhD**

6           Lead Mathematical Statistician

7           Division of Biometrics III (DB3)

8           Office of Biostatistics (OB)

9           Office of Translational Science (OTS)

10           CDER, FDA

11

12           **Dong-Hyun Ahn, PhD**

13           Statistical Reviewer

14           DB3, OTS, CDER, FDA

15

16

17

18

19

20

21

22

|    | C O N T E N T S                                   |      |
|----|---|------|
| 2  | AGENDA ITEM                                       | PAGE |
| 3  | Call to Order                                     |      |
| 4  | David Au, MD, MS                                  | 13   |
| 5  | Introduction of Committee                         |      |
| 6  | Takyiah Stevenson, PharmD                         | 13   |
| 7  | Conflict of Interest Statement                    |      |
| 8  | Takyiah Stevenson, PharmD                         | 20   |
| 9  | <b>FDA Introductory Remarks</b>                   |      |
| 10 | Kelly Stone, MD, PhD                              | 24   |
| 11 | <b>Applicant Presentations</b>                    |      |
| 12 | <b>Bond Avillion 2 Development LP/AstraZeneca</b> |      |
| 13 | Summary   |      |
| 14 | Ed Piper, MBBS                                    | 27   |
| 15 | Clinical Perspective                              |      |
| 16 | Neil Skolnik, MD                                  | 38   |
| 17 | Clarifying Questions to the Applicant             | 47   |
| 18 | <b>FDA Presentation</b>                           |      |
| 19 | FDA Summary Presentation                          |      |
| 20 | Kelly Stone, MD, PhD                              | 77   |
| 21 | Clarifying Questions to the FDA                   | 101  |
| 22 |   |      |

|    |   |      |
|----|---|------|
| 1  | C O N T E N T S (continued)               |      |
| 2  | AGENDA ITEM                               | PAGE |
| 3  | <b>Open Public Hearing</b>                | 116  |
| 4  | Clarifying Questions (continued)          | 123  |
| 5  | FDA Charge to the Committee               |      |
| 6  | Kelly Stone, MD, PhD                      | 152  |
| 7  | Questions to the Committee and Discussion | 155  |
| 8  | Adjournment                               | 234  |
| 9  |   |      |
| 10 |   |      |
| 11 |   |      |
| 12 |   |      |
| 13 |   |      |
| 14 |   |      |
| 15 |   |      |
| 16 |   |      |
| 17 |   |      |
| 18 |   |      |
| 19 |   |      |
| 20 |   |      |
| 21 |   |      |
| 22 |   |      |

1                   P R O C E E D I N G S

2                   (10:02 a.m.)

3                   **Call to Order**

4                   DR. AU: Good morning, and welcome. I would  
5                   first like to remind everyone to please mute your  
6                   line when you are not speaking. For media and  
7                   press, the FDA press contact is Chanapa  
8                   Tantibanchachai. Her email and phone number are  
9                   currently displayed.

10                  My name is David Au, and I will be chairing  
11                  this meeting. I will now call the November 8, 2022  
12                  Pulmonary-Allergy Drug Advisory Committee meeting  
13                  to order. Dr. Takyiah Stevenson is the designated  
14                  federal officer for this meeting and will begin  
15                  with the introductions.

16                  **Introduction of Committee**

17                  DR. STEVENSON: Good morning. My name is  
18                  Takyiah Stevenson, and I am the designated federal  
19                  officer for this meeting. All voting members have  
20                  confirmed via email that they have viewed the  
21                  prerecorded presentations for today's meeting in  
22                  their entirety. When I call your name, please

1 introduce yourself by stating your name and  
2 affiliation, and "I confirm."

3 Dr. Au?

4 DR. AU: Hi. I'm David Au. I am from the  
5 VA Puget Sound Health Care System and the  
6 University of Washington, and I confirm.

7 DR. STEVENSON: Dr. Carlson?

8 DR. CARLSON: Hi. I'm Dawn Carlson. I'm an  
9 industry representative, and I confirm.

10 DR. STEVENSON: Dr. Evans?

11 DR. EVANS: This is Scott Evans. I'm a  
12 pulmonologist at the University of Texas MD  
13 Anderson Cancer Center in Houston, and I confirm  
14 that I have watched the videos.

15 DR. STEVENSON: Dr. Holguin?

16 DR. HOLGUIN: Fernando Holguin, University  
17 of Colorado, and I confirm.

18 DR. STEVENSON: Dr. Kim?

19 DR. E. KIM: Edwin Kim,  
20 allergist/immunologist at the University of North  
21 Carolina. I confirm.

22 DR. STEVENSON: Dr. May?

1                   DR. MAY: Susanne May, professor of  
2 biostatistics and director of the Clinical Trials  
3 Center at the University of Washington in Seattle,  
4 and I confirm.

5                   DR. STEVENSON: Dr. Tracy?

6                   DR. TRACY: Dr. James Tracy, clinical  
7 professor of pediatrics, University of Nebraska, in  
8 private practice, and I also confirm.

9                   DR. STEVENSON: Dr. Cabana?

10                  DR. CABANA: Good morning. This is Michael  
11 Cabana. I'm a general pediatrician. I'm  
12 physician-in-chief at the Children's Hospital at  
13 Montefiore and chair of pediatrics at the Albert  
14 Einstein College of Medicine in the Bronx. I  
15 confirm that I have read the documents and seen the  
16 video.

17                  DR. STEVENSON: Dr. Cataletto?

18                  DR. CATALETTTO: Mary Cataletto. I'm a  
19 pediatric pulmonologist at NYU Long Island, and I  
20 confirm that I watched the videos.

21                  DR. STEVENSON: Dr. Cloutier?

22                  DR. CLOUTIER: I'm Michelle Cloutier. I'm a

1 pediatric pulmonologist at the UConn School of  
2 Medicine in Farmington, Connecticut, and I confirm.

3 DR. STEVENSON: Dr. Dykewicz?

4 DR. DYKEWICZ: Hi. Mark Dykewicz,  
5 allergy-immunology at Saint Louis University Saint  
6 Louis University School of Medicine, and I confirm  
7 that I have watched the presentations in their  
8 entirety.

9 DR. STEVENSON: Dr. Greenberger?

10 DR. GREENBERGER: Paul Greenberger,  
11 Department of Medicine, Division of Allergy and  
12 Immunology at Northwestern University Feinberg  
13 School of Medicine in Chicago, and I confirm.

14 DR. STEVENSON: Thank you.

15 DR. Hunsberger?

16 DR. HUNSBERGER: Sally Hunsberger,  
17 Biostatistics Research Branch and NIAID, and I  
18 confirm that I have viewed the presentations.

19 DR. STEVENSON: Dr. Jones?

20 DR. JONES: Dr. Bridgette Jones, professor  
21 of pediatrics at University of Missouri-Kansas  
22 City, and allergy-immunology and pediatric clinical

1       pharmacology at Children's Mercy Hospital in Kansas  
2       City, and I confirm.

3                   DR. STEVENSON: Dr. Kaizer?

4                   DR. KAIZER: Alex Kaizer, assistant  
5       professor of biostatistics and informatics at the  
6       University of Colorado, and I confirm that I have  
7       read the materials and seen the video.

8                   DR. STEVENSON: Ms. Oster?

9                   MS. OSTER: Yes. This is Randi Oster. I am  
10      the president of Help Me Health, and I confirm that  
11      I have read and viewed the videos in entirety.

12                  DR. STEVENSON: Ms. Schwartzott?

13                  MS. SCHWARTZOTT: Hi. This is Jennifer  
14      Schwartzott. I am the patient representative, and  
15      I confirm.

16                  DR. STEVENSON: Dr. Stoller?

17                  DR. STOLLER: Yes. This is Jamie Stoller.  
18      I'm a pulmonary doctor at the Cleveland Clinic, and  
19      I confirm.

20                  DR. STEVENSON: Thank you, panel members,  
21      for confirming. I will now continue introducing  
22      the FDA participants.

1                   Dr. Seymour?

2                   DR. SEYMOUR: Good morning. My name is  
3                   Sally Seymour. I'm the director of the Division of  
4                   Pulmonology, Allergy, and Critical Care in the  
5                   Office of New Drugs at the FDA.

6                   DR. STEVENSON: Dr. Stone?

7                   DR. STONE: Good morning. This is Kelly  
8                   Stone. I'm the associate director for therapeutic  
9                   review, Division of Pulmonology, Allergy, and  
10                   Critical Care, FDA.

11                   DR. STEVENSON: Dr. Boulos?

12                   DR. BOULOS: Good morning. This is  
13                   Dr. Elisabeth Boulos. I'm a medical officer in the  
14                   Division of Pulmonology, Allergy, and Critical  
15                   Care, and the primary reviewer for this  
16                   application.

17                   DR. STEVENSON: Dr. Kim?

18                   DR. Y. KIM: Good morning. This is Yongman  
19                   Kim. I'm a statistical team leader for FDA.

20                   DR. STEVENSON: Dr. Ahn?

21                   DR. AHN: Hi. This is Dr. Dong-Hyun Ahn,  
22                   primary statistical reviewer in the Office of

1 Biostatistics.

2 DR. STEVENSON: Thank you, everyone. I will  
3 turn it back to the chair.

4 DR. AU: For topics such as those being  
5 discussed at this meeting, there are often a  
6 variety of opinions, some of which are quite  
7 strongly held. Our goal is that this meeting will  
8 be a fair and open forum for discussion of these  
9 issues and that individuals can express their views  
10 without interruption. Thus, as a gentle reminder,  
11 individuals will be allowed to speak into the  
12 record only if recognized by the chairperson. We  
13 look forward to a productive meeting.

14 In the spirit of the Federal Advisory  
15 Committee Act and the Government in the Sunshine  
16 Act, we ask that the advisory committees take care  
17 that their conversations about the topic at hand  
18 take place in the open forum of this meeting.

19 We are aware that members of the media are  
20 anxious to speak with the FDA about these  
21 proceedings, however, FDA will refrain from  
22 discussing the details of this meeting with the

1 media until its conclusion. Also, the committee is  
2 reminded to please refrain from discussing the  
3 meeting topics during breaks or lunch. Thank you.

4 Dr. Takyiah Stevenson will read the Conflict  
5 of Interest Statement for the meeting.

6 **Conflict of Interest Statement**

7 DR. STEVENSON: The Food and Drug  
8 Administration, FDA, is convening today's meeting  
9 of the Pulmonary-Allergy Drugs Advisory Committee  
10 under the authority of the Federal Advisory  
11 Committee Act, FACA, of 1972. With the exception  
12 of the industry representative, all members and  
13 temporary voting members of the committee are  
14 special government employees, SGEs, or regular  
15 federal employees from other agencies and are  
16 subject to federal conflict of interest laws and  
17 regulations.

18 The following information on the status of  
19 this committee's compliance with federal ethics and  
20 conflict of interest laws, covered by but not  
21 limited to those found at 18 U.S.C. Section 208, is  
22 being provided to participants in today's meeting

1 and to the public.

2 FDA has determined that members and  
3 temporary voting members of this committee are in  
4 compliance with federal ethics and conflict of  
5 interest laws. Under 18 U.S.C. Section 208,  
6 Congress has authorized FDA to grant waivers to  
7 special government employees and regular federal  
8 employees who have potential financial conflicts  
9 when it is determined that the agency's need for a  
10 special government employee's services outweighs  
11 his or her potential financial conflict of interest  
12 or when the interest of a regular federal employee  
13 is not so substantial as to be deemed likely to  
14 affect the integrity of the services which the  
15 government may expect from the employee.

16 Related to the discussion of today's  
17 meeting, members and temporary voting members of  
18 this committee have been screened for potential  
19 financial conflicts of interests of their own as  
20 well as those imputed to them, including those of  
21 their spouses or minor children and, for purposes  
22 of 18 U.S.C. Section 208, their employers. These

1       interests may include investments; consulting;  
2       expert witness testimony; contracts, grants,  
3       CRADAs; teaching, speaking, writing; patents and  
4       royalties; and primary employment.

5               Today's agenda involves a discussion of new  
6       drug application, NDA, 214070, for a fixed-dose  
7       combination of budesonide and albuterol sulfate,  
8       BDA, metered dose inhaler, submitted by AstraZeneca  
9       and Bond Avillion 2 Development LP. The proposed  
10      indication is as-needed treatment or prevention of  
11      bronchoconstriction and for the prevention of  
12      exacerbations in patients with asthma 4 years of  
13      age and older.

14               This is a particular matters meeting during  
15      which specific matters related to AstraZeneca and  
16      Bond Avillion 2 Development's NDA will be  
17      discussed. Based on the agenda for today's meeting  
18      and all financial interests reported by the  
19      committee members and temporary voting members, no  
20      conflict of interest waivers have been issued in  
21      connection with the meeting. To ensure  
22      transparency, we encourage all standing committee

1 members and temporary voting members to disclose  
2 any public statements that they may have made  
3 concerning the product at issue.

4                   With respect to FDA's invited industry  
5 representative, we would like to disclose that  
6 Dr. Dawn Carlson is participating in this meeting as  
7 a non-voting industry representative acting on  
8 behalf of regulated industry. Dr. Carlson's role  
9 at this meeting is to represent industry in general  
10 and not any particular company. Dr. Carlson is  
11 employed by Abbvie.

12                   We would like to remind members and  
13 temporary voting members that if the discussions  
14 involve any other products or firms not already on  
15 the agenda for which an FDA participant has a  
16 personal or imputed financial interest, the  
17 participants need to exclude themselves from such  
18 involvement, and their exclusion will be noted for  
19 the record. FDA encourages all participants to  
20 advise the committee of any financial relationships  
21 that they may have with the firm at issue.

22                   Thank you, and I will hand it back to the

1 chair.

2 DR. AU: I need to do a sound check [audio  
3 feedback].

4 Is this better?

5 DR. STEVENSON: Hi, Dr. Au. This is Takyiah  
6 speaking. I'm not sure if your microphone maybe  
7 has some sort of obstruction.

8 (Discussion off the record.)

9 DR. AU: Why don't we proceed; if everyone  
10 can hear me ok?

11 We will proceed with the FDA introductory  
12 remarks from Dr. Kelly Stone.

13 **FDA Introductory Remarks - Kelly Stone**

14 DR. STONE: Good morning. On behalf of the  
15 Division of Pulmonology, Allergy, and Critical Care  
16 and the agency, I'd like to welcome you all to this  
17 meeting of the Pulmonary-Allergy Drugs Advisory  
18 Committee. We're convening the advisory committee  
19 today today to discuss new drug application for the  
20 fixed-dose combination budesonide albuterol MDI,  
21 developed for the as-needed treatment or prevention  
22 of bronchoconstriction, and for the prevention of

1           exacerbations in patients with asthma 4 years of  
2           age and older.

3           This is a novel combination product  
4           containing an inhaled corticosteroid in a  
5           short-acting beta-2 agonist and intended for use as  
6           a rescue or reliever treatment for asthma. This  
7           reliever product would be the first with an  
8           indication to prevent progression to severe  
9           exacerbations and the first product containing an  
10           inhaled corticosteroid for rescue rather than  
11           maintenance treatment.

12           We will discuss the overall development  
13           program for this novel combination product,  
14           however, a major focus of today's discussion will  
15           be on the benefit-risk assessment for pediatric  
16           patients. We look forward to a robust discussion  
17           to inform and advise the agency in its review of  
18           this new drug application. Although the feedback  
19           provided by the committee is advisory, we will  
20           consider all aspects of today's discussion in our  
21           review process. Once again, I would like to  
22           welcome and thank the members of the advisory

1 committee for your participation in today's  
2 meeting, as well as the applicant, members of the  
3 public, and my colleagues at FDA.

4 I will now turn it back over to you, Dr. Au.  
5 Thank you.

6 DR. AU: Both the Food and Drug  
7 Administration and the public believe in a  
8 transparent process for information gathering and  
9 decision making. To ensure such transparency at  
10 the advisory committee meeting, FDA believes that  
11 it is important to understand the context of an  
12 individual's presentation.

13 For this reason, FDA encourages all  
14 participants, including the applicant's  
15 non-employee presenters, to advise the committee of  
16 any financial relationships that they may have with  
17 the sponsor, such as consulting fees, travel  
18 expenses, honoraria, and interest in the sponsor,  
19 including equity interests and those based upon the  
20 outcome of the meeting.

21 Likewise, FDA encourages you at the  
22 beginning of your presentation to advise the

1 committee if you do not have such financial  
2 relationships. If you choose not to address this  
3 issue of financial relationships at the beginning  
4 of your presentation, it will not preclude you from  
5 speaking.

6 We will now proceed with the applicant's  
7 summary presentation.

8 **Applicant Presentation - Ed Piper**

9 DR. PIPER: Good morning to the chair,  
10 members of the advisory committee, and the FDA. My  
11 name is Dr. Ed Piper for AstraZeneca.

12 BDA MDI is a potential new asthma rescue  
13 treatment, and I'm pleased to summarize the  
14 Avillion and AstraZeneca position on the key topics  
15 that the committee will discuss today. Dr. Neil  
16 Skolnik will follow to provide his clinical  
17 perspective. I'm joined by a number of colleagues  
18 from AstraZeneca and Avillion, and collectively, we  
19 will address any questions, and Drs. Lugogo,  
20 Murphy, and Skolnik are on hand to offer their  
21 expert clinical perspectives.

22 Severe asthma exacerbations remain a major

1 issue across all ages, and there is a need for safe  
2 and effective new treatments to prevent them. But  
3 there's a paradox that the go-to asthma rescue  
4 treatment, albuterol, when used frequently without  
5 an inhaled corticosteroid is associated with an  
6 increased risk of severe exacerbation.

7 BDA is a fixed-dose combination of albuterol  
8 to provide rapid relief of symptoms and budesonide  
9 to treat airway inflammation. The clinical premise  
10 behind the development was that BDA MDI would  
11 reduce severe asthma exacerbation risk through the  
12 complementary action of these two well-known  
13 medicines.

14 The pivotal MANDALA study shows that when  
15 used as rescue, in addition to inhaled  
16 corticosteroid maintenance treatment, both doses of  
17 BDA studied reduced the risk of first severe asthma  
18 exacerbation compared to our albuterol. The  
19 efficacy profile of BDA 160/180, the higher dose  
20 tested, was compelling with a 27 percent reduction  
21 in severe exacerbation risk, together with  
22 clinically important reductions in systemic

1 corticosteroids use and increased odds of improved  
2 asthma control and asthma-related, quality-of-life  
3 scores.

4                   These important clinical benefits were  
5 observed with modest use of BDA as rescue. The  
6 mean use of BDA 160/180 was 2.6 inhalations per  
7 day, which is equivalent to just over  
8 200 micrograms of budesonide. On most days during  
9 the study, patients used 0, 1, or 2 doses of BDA.  
10 The numbers of inhalations are categorized on the  
11 graph here.

12                   We assessed the overall benefit-risk for  
13 BDA MDI to be positive, taking into account the  
14 three positive phase 3 studies in over  
15 4,000 patients; the reduction in severe  
16 exacerbation risk compared to albuterol, highest  
17 with BDA 160/180; and critically that the safety  
18 profile of BDA MDI was consistent with the known  
19 risks of both mono-components with no new safety  
20 findings identified.

21                   We proposed an indication that reflects the  
22 clinical utility of BDA MDI. The principal

1 question for the advisory committee is whether a  
2 high degree of extrapolation of the BDA efficacy  
3 data in adults, to adolescents and children, is  
4 appropriate and supports the approval of BDA MDI  
5 from 4 years of age. This question is important,  
6 as the burden of asthma exacerbations in the U.S.  
7 presented here, as the annual rate of ED visits due  
8 to asthma remains stubbornly high for children,  
9 adolescents, and their families. The unmet need is  
10 clear, considering that half of children with mild  
11 to moderate asthma and two-thirds of those with  
12 severe asthma have at least one asthma exacerbation  
13 each year.

14 Given the potential for BDA MDI to address.  
15 severe exacerbation risk, we discussed the  
16 inclusion of children with the agency throughout  
17 development. We followed agency advice to include  
18 subjects from 4 years of age in our phase 3 studies  
19 and we enrolled 100 adolescents and 83 children  
20 into the MANDALA study. These cohorts were  
21 intended to be of sufficient size to collect safety  
22 data and for exploratory efficacy analysis. The

1 agency also recommended Bayesian analysis as an  
2 appropriate approach to support the assessment of  
3 efficacy in both pediatric populations.

4 The FDA guide sponsors that for conditions  
5 that exist across the age spectrum, evidence of  
6 clinical benefit from a drug in adults can support  
7 the prospect of direct benefit in children if there  
8 is confidence that the disease is similar and that  
9 the response to treatment will be similar.

10 We agree with FDA that a high degree of  
11 extrapolation of adult BDA data to the pediatric  
12 populations is necessary. Our rationale for  
13 extrapolation is based on important similarities in  
14 asthma across ages. These start with a general  
15 observation that the same principles are used for  
16 diagnosis, assessment, and treatment, while it's  
17 the same endpoint they used to assess efficacy in  
18 asthma.

19 We acknowledge that there are differences in  
20 immunological mechanisms at different ages,  
21 however, there are similarities that are especially  
22 relevant to BDA as a rescue treatment. All asthma

1 patients experience episodes of worsening symptoms  
2 and exacerbations in response to triggers, and  
3 these episodes are characterized by similar  
4 patterns of inflammation and bronchoconstriction;  
5 and the treatment approach is the same, using  
6 bronchodilators and systemic steroids for severe  
7 exacerbations.

8 These similarities support the conclusion  
9 that a rescue medicine that's effective in adults  
10 would also be effective in pediatrics, and this  
11 conclusion is further supported by studies of other  
12 ICS fast-acting bronchodilator rescue treatments,  
13 which show reductions in severe exacerbation risk  
14 across adults, adolescents, and children. We look  
15 forward to the committee's discussion on the  
16 appropriateness of extrapolation.

17 Turning to the efficacy data from the  
18 adolescent cohort in MANDALA, we acknowledge the  
19 small sample size, relatively few severe  
20 exacerbation events, and the wide confidence  
21 intervals that result. The primary endpoint  
22 estimate favored BDA 80/180, but was reversed for

1 the higher dose. Bayesian modeling with limited  
2 borrowing from the overall population resulted in  
3 favorable point estimates for both BDA MDI doses,  
4 but with credible intervals that cross unity.

5 We agree with the agency's analysis, which  
6 shows that in order to achieve statistical  
7 significance, higher degrees of borrowing are  
8 required. It's encouraging that all secondary  
9 endpoints tested numerically favored both BDA MDI  
10 doses compared with albuterol.

11 With regard to safety, the incidence of  
12 adverse events were low. Both BDA doses were  
13 similarly well tolerated and the safety profile in  
14 adolescents was similar to that in adults and  
15 consistent with the known risks of both  
16 mono-components.

17 The rationale for extrapolation of efficacy  
18 from adults to adolescents is supported by the  
19 literature. Here we see the results of a pooled  
20 meta-analysis from six studies of  
21 budesonide/formoterol rescue in over  
22 1800 adolescents with asthma. Reduction in severe

1 exacerbation risk was 51 percent in the adolescent  
2 pool, and importantly, the results in adolescents  
3 were consistent with those in adults, supporting  
4 the rationale to extrapolate BDA MDI efficacy from  
5 adults to adolescents.

6 We wanted to prospectively address the  
7 rationale for the adolescent dose we proposed.  
8 Though the efficacy results appear to favor BDA MDI  
9 80/180 over 160/180. We believe that this is  
10 likely due to chance as a result of the low numbers  
11 of adolescent patients. It seems implausible that  
12 the lower BDA dose would outperform in adolescents,  
13 given the very clear dose response in the overall  
14 MANDALA population across all endpoints. We also  
15 note that the patterns of use for both BDA doses  
16 was similar in adolescents. Therefore, as both BDA  
17 doses were well tolerated with no unexpected safety  
18 findings, we proposed BDA MDI 160/180.

19 Moving now to the data for the 80/180 BDA  
20 dose studied in children, there's uncertainty in  
21 BDA MDI benefit, with point estimates for both  
22 severe exacerbation endpoints approximately at

1       unity and with wide confidence intervals. The  
2       conclusions from the Bayesian analysis are the same  
3       as for adolescents, and secondary endpoints are  
4       inconclusive.

5           A similar pattern of use was observed for  
6       BDA MDI and albuterol in children. On over  
7       40 percent of days, no BDA MDI or albuterol was  
8       used, and more than 8 inhalations were recorded on  
9       less than 1 percent of study days in both treatment  
10      groups, importantly indicating that rescue was not  
11      overused. The incidence of adverse events was low  
12      and the safety profile consistent with the known  
13      risks of the mono-components.

14           The potential risks associated with  
15      increased corticosteroid exposure in children are  
16      an important consideration, and we therefore  
17      simulated a worst-case scenario in which BDA MDI  
18      was inhaled every 20 minutes for a maximum of  
19      12 inhalations either on a single day or on  
20      6 repeated days, and this was in addition to  
21      maintenance budesonide at a range of approved  
22      doses.

1                   The simulation shows here that the systemic  
2 budesonide exposure, measured as 24-hour AUC, is  
3 lower in the two age groups of children compared to  
4 adults and adolescents, and this concurs with the  
5 FDA analysis presented. And as I showed  
6 previously, it's very important to note that the  
7 pattern of BDA use in MANDALA reassures us that  
8 this type of worst-case scenario is both infrequent  
9 and would last only for a short period.

10                  There is also literature that supports the  
11 effectiveness of the ICS/fast-acting bronchodilator  
12 rescue strategy in children. In the STAY study, in  
13 4 to 11 year olds with poor asthma control despite  
14 maintenance inhaled steroids, the use of  
15 budesonide/formoterol rescue reduced the risk of  
16 exacerbation by 66 percent compared to the SABA  
17 rescue terbutaline, whilst on the right-hand side  
18 we see the TREXA study in which 6 to 18 year olds  
19 with well-controlled mild persistent asthma, rescue  
20 therapy with beclomethasone and albuterol showed  
21 benefits over albuterol taken on its own. This is  
22 shown here as a reduction in treatment failures

1 during the study.

2                   So in summary, we believe that the positive  
3 benefit-risk of BDA extends to adolescents and  
4 children for the following reasons. Firstly,  
5 BDA MDI safety is consistent with the  
6 well-established safety profile of albuterol and  
7 budesonide, with no new safety findings identified  
8 in pediatric subgroups.

9                   Secondly, there is a strong clinical and  
10 pharmacological rationale to extrapolate adult BDA  
11 efficacy to the pediatric population. And finally,  
12 there is strong plausibility that BDA MDI would  
13 reduce severe exacerbation risk, based on the  
14 strength of the overall population results in  
15 MANDALA, together with the published data of other  
16 ICS/fast-acting bronchodilator rescue combinations.

17                   Given the important unmet need and  
18 considering the totality of data, AstraZeneca and  
19 Avillion believe that the potential benefits of  
20 BDA MDI outweigh the potential risks, and that it  
21 could be an important therapeutic option for  
22 pediatric patients, as well as for adults. So we

1 therefore propose the 160/180 microgram dose in  
2 subjects 12 years of age and older and the  
3 80/180 microgram dose in those 4 to 11 years of  
4 age.

5 Thank you, and I'd now like to invite  
6 Dr. Neil Skolnik to provide his clinical  
7 perspective.

8 Dr. Skolnik?

9 **Applicant Presentation - Neil Skolnik**

10 DR. SKOLNIK: Thank you, Dr. Piper  
11 I'm Dr. Neil Skolnik, professor of Family  
12 and Community Medicine at the Sidney Kimmel Medical  
13 College of Thomas Jefferson University. It is a  
14 privilege to be able to contribute my perspective  
15 to today's discussion. I am a paid consultant to  
16 the sponsor but have no financial interest in the  
17 outcome of this meeting.

18 I've been taking care of adults,  
19 adolescents, and children across the full spectrum  
20 of acute and chronic illness for the last 30 years,  
21 and most relevant to today's discussion, many, many  
22 patients with asthma. I am a family doctor. One

1 of my academic interests is in asthma, and I served  
2 on the NHLBI expert working group for contributing  
3 to the development of the most recent NIH asthma  
4 guidelines, so this is an area that I know well.

5 The rate of emergency room visits for asthma  
6 exacerbations has not changed since I started  
7 practice, over 30 years ago. This may be because  
8 our approach to rescue therapy is continued to be  
9 focused on acute relief of bronchoconstriction,  
10 leaving inflammation, which is a critical cause of  
11 bronchoconstriction, to go on unabated.

12 SABA when used alone only addresses  
13 bronchoconstriction, leaving patients transiently  
14 feeling better and vulnerable to severe  
15 exacerbations, as their inflammation continues to  
16 get worse, to the point where SABA is no longer  
17 effective at relieving symptoms, and the  
18 improvement in airflow requires administration of  
19 systemic corticosteroids.

20 BDA use as rescue therapy provides rapid  
21 bronchodilation while addressing inflammation  
22 acutely through non-genomic effects on the airways

1 that begin within minutes, as well as genomic  
2 effects that take their place over hours. The use  
3 of ICS as a part of rescue therapy is not a new  
4 concept, and as we've heard in my colleague's  
5 presentations, the idea is supported by evidence  
6 that quick-acting bronchodilator ICS rescue  
7 combinations reduce the risk of exacerbations  
8 versus SABA alone across severities of asthma in  
9 adults, adolescents, and children.

10 This is why both the international GINA  
11 recommendations and the United States NIH  
12 guidelines now recommend a strategy of using ICS  
13 when a quick-acting bronchodilator is used as  
14 rescue therapy across age groups. These guidelines  
15 are formulated with the intent of informing  
16 clinical decisions about treatment. In both the  
17 the GINA and the NIH recommendations, adults and  
18 adolescents are grouped together, and ICS  
19 quick-acting bronchodilator is recommended as  
20 rescue therapy.

21 For children, both GINA and NIH  
22 recommendations support the use of an ICS

1 quick-acting bronchodilator as rescue treatment;  
2 the difference between the two sets of  
3 recommendations only being at which step it is  
4 recommended. Based on careful analysis of the data,  
5 some of which we've seen in the prerecorded  
6 discussions and some of which have been reviewed  
7 today, both GINA and NIH guidelines recommend that  
8 clinicians use an ICS quick-acting beta agonist for  
9 rescue therapy in children with moderate to severe  
10 asthma.

11 Let me now discuss why I as a prescribing  
12 physician would like to be able to use BDA MDI for  
13 my younger patients. In order to do so, let me  
14 discuss clinical decision making in primary care.

15 When I make clinical decisions for my  
16 patients, there are a number of factors I consider.  
17 First of all, as an academic family physician, I  
18 take the guidelines very seriously, and we've seen  
19 an approach that uses rescue therapy with BDA MDI  
20 is in line with the current guidelines. Then I  
21 look carefully at the data and peer-reviewed  
22 literature to assess whether that literature and

1 the guidelines are applicable to my patients.

2                   Then I think about my clinical experience.

3                   With asthma, my approach to children, that is their  
4                   assessment and their treatment, is essentially the  
5                   same as it is for adolescents and adults. When I  
6                   look at the MANDALA trial, I see results in adults  
7                   that are consistent with what is known about the  
8                   use of ICS quick-acting bronchodilator rescue  
9                   therapy. I see no reason to believe that the  
10                   efficacy in children and adolescents would be  
11                   different than that in the population as a whole in  
12                   the trial. The trial showed important decreases in  
13                   severe exacerbations and decreases in systemic  
14                   steroid use.

15                   These results, along with the published data  
16                   that Dr. Murphy shared in his recorded presentation  
17                   and Dr. Piper reviewed just a short while ago, give  
18                   me reason to believe in the efficacy of BDA MDI  
19                   across age groups. Of critical importance, I  
20                   believe that the risks associated with BDA MDI in  
21                   children are well understood, based on extensive  
22                   experience with both of its components over many

1 years, and I believe those risks are low and are  
2 manageable.

3 Let me re-emphasize this. Based on over  
4 40 years of clinical trials and clinical experience  
5 with budesonide in adults, and over 20 years of  
6 worldwide experience with budesonide in children,  
7 as well as a similar level of experience with  
8 albuterol, we can feel comfortable that we  
9 understand any safety issues that there may be with  
10 BDA MDI. Favorable benefit-risk ratio with an  
11 emphasis on safety is what we seek and what our  
12 patients want in the medicines we use for treatment  
13 of any disease.

14 Finally, there are the approved indications  
15 for the medications I would like to use. As a  
16 primary care physician, I find myself in an  
17 uncomfortable and an unusual circumstance with  
18 asthma. While it is true that many specialists may  
19 feel comfortable prescribing outside of approved  
20 indications, most primary care clinicians try to  
21 prescribe medicines consistent with approved  
22 indications.

1           If I want to practice medicine consistent  
2 with the current guidelines, consistent with the  
3 peer-reviewed literature, and consistent with what  
4 I believe is best for my patients, I currently only  
5 have two options. One is to prescribe a  
6 budesonide/formoterol combination inhaler for  
7 maintenance and reliever therapy. If I do that, it  
8 is not consistent with the approved indications for  
9 prescribing that medication, and in fact it  
10 violates the statement in the label that it is not  
11 indicated for the relief of acute bronchospasm.  
12 Furthermore, if my patients are taking their  
13 maintenance inhaler, insurance often does not pay  
14 for the additional inhalers to be used for rescue  
15 therapy.

16           My second option is to recommend to my  
17 patients that they take their ICS every time they  
18 take albuterol. Use of an ICS in this way does not  
19 fit approved indications for ICS use. For my  
20 patients, this is burdensome, confusing, and  
21 impractical. That means they have to carry two  
22 inhalers with them at all times in case they need

1 rescue therapy, and we run up against the same  
2 insurance issues that I just mentioned if someone's  
3 also on a maintenance ICS. Insurance won't pay for  
4 additional ICS for rescue.

5 So I do what most of my colleagues  
6 do -- family doctors, pediatricians, nurse  
7 practitioners, and physician assistants across the  
8 country -- I don't practice according to what I  
9 think is best for my patients; instead, I prescribe  
10 an albuterol inhaler for rescue therapy.

11 From my perspective, BDA MDI provides us a  
12 much needed opportunity to align the approved  
13 indications with best clinical practices. Approval  
14 of BDA MDI would provide an option to address the  
15 paradox that Dr. Piper mentioned, that the go-to  
16 asthma rescue treatment albuterol, when used  
17 frequently without an ICS, is associated with an  
18 increased risk of severe exacerbation. Currently,  
19 in the United States, this happens far too often.

20 So we're left today with the principal  
21 questions. Is the disease similar enough in  
22 children and adults; is the process and underlying

1 physiology of exacerbations similar enough in  
2 children and adults; and is the response to  
3 treatment similar enough in children and adults to  
4 support extrapolation of the results of the MANDALA  
5 trial to children from adults? And is the totality  
6 of the evidence from clinical studies interpreted  
7 with clinical wisdom strong enough to support  
8 approval of BDA MDI for adults, adolescents, and  
9 children? For the reasons stated, I think it is.

10 The alternative, without BDA MDI, is primary  
11 care providers like me have no other options for  
12 rescue therapy but to prescribe albuterol for our  
13 patients. With BDA MDI, we could provide an  
14 important advance for the treatment of asthma in  
15 the United States, one that gives our patients  
16 anti-inflammatory therapy as a part of their rescue  
17 therapy, and by so doing decrease the rate of  
18 asthma exacerbations in adults, adolescents, and  
19 children, improving their quality of life and  
20 decreasing the burden of their disease.

21 Thank you, and I'll now turn the floor back  
22 to Dr. Piper.

1 DR. PIPER: Thank you very much.

2 That concludes the sponsor's summary, and  
3 I'll pass it back to the chair. Thank you.

4 **Clarifying Questions to the Applicant**

5 DR. AU: Thank you very much.

6 We will now take clarifying questions for  
7 the applicant. Please use your raise-hand icon to  
8 indicate that you have a question and remember to  
9 lower your hand by clicking the raise-hand icon  
10 again after you've asked your question. When  
11 acknowledged, please remember to state your name  
12 for the record before you speak and direct your  
13 questions to a specific presenter if you can. If  
14 you wish for a specific slide to be displayed,  
15 please let us know the slide number, if possible.

16 Finally, it would be helpful to acknowledge  
17 the end of your question with a thank you and end  
18 of your follow-up question with, "That is all for  
19 my questions," so we can move on to the next panel  
20 member. Thank you.

21 (Pause.)

22 DR. AU: Why don't we start with Dr. Oster.

1                   MS. OSTER: Yes. This is Randi Oster. I am  
2 not a medical doctor. I am the consumer  
3 representative, and what I'd like to do is ask  
4 Dr. Piper to talk a little bit about the  
5 4,000 patients in the study, and explain to us when  
6 he talked about the triggers are the same, how this  
7 study looked at age and location of where they are  
8 for environmental triggers, so that if we decide to  
9 extrapolate data, it is clear that the study has  
10 looked at environmental factors that can be  
11 exasperation. Thank you.

12                  DR. PIPER: Ed Piper, AstraZeneca.

13                  Thank you. Indeed, 4,000 patients in the  
14 clinical development program, spread across three  
15 studies -- 3,000 of those patients in MANDALA, the  
16 study I referred to a lot -- this was a program run  
17 in a number of countries around the world,  
18 including the United States.

19                  For example, in the MANDALA study,  
20 27 percent of the overall sample was taken from the  
21 United States, so we can be confident that the  
22 patients recruited into the trial are relevant when

1       we come to consider U.S. practice, and indeed U.S.  
2       triggers that are present in patients in the U.S.,  
3       and as we consider the application for U.S.  
4       approval.

5           We did capture data around environmental  
6       triggers in the study, so we have data that shows  
7       the sort of triggers that patients recorded, so we  
8       made an effort to collect that data. We haven't  
9       got an analysis to share with you at this moment  
10       about the breakdown of exactly what those triggers  
11       were, but I'll end my response there and see  
12       whether that satisfies your question around the  
13       27 percent of patients being in the U.S. or not, or  
14       whether you have a follow-up question. Thank you.

15           MS. OSTER: Just as a follow-up, I just want  
16       to clarify for the record that we do not have the  
17       analysis for the triggers, and the environmental  
18       impact across the United States has not been  
19       defined at a level where there could be man-made  
20       issues. So we have to be careful that we do not  
21       extrapolate data from one part of the country to  
22       people in the other part. Thank you.

1                   DR. PIPER: Ed Piper, AstraZeneca. Just to  
2 come back from that and give a tiny little bit more  
3 detail around U.S. sites, as I said, 27 percent of  
4 the patients. There were 125 different sites  
5 around the United States that enrolled patients  
6 into the MANDALA study, so I think we can have some  
7 confidence about the generalizability of the data  
8 from the U.S. population. Thank you.

9                   DR. AU: Let's move on to Dr. Greenberger.

10                  DR. GREENBERGER: Thank you; a couple  
11 questions. One would be inclusion criteria for  
12 MANDALA regarding bronchodilator responsiveness or  
13 not. My question is regarding the adolescents and  
14 children, if you have information on that.

15                  The second is, could you just review for us  
16 those adolescents and children in step 2 who were  
17 receiving the investigational product versus the  
18 step 3 treated who received the investigational  
19 product? Thank you.

20                  DR. PIPER: Ed Piper, AstraZeneca. I'm  
21 going to start with your second question first,  
22 which is around looking at the background dose of

1 inhaled steroid.

2                   One of the great strengths of the MANDALA  
3 study, I think, was that it recruited a broad  
4 population of patients, all of whom were taking  
5 inhaled steroids with or without a LABA and with  
6 the addition of one controller, additional  
7 controller, where required.

8                   It was a broad study, but what we chose to  
9 do was to look at the different patients by  
10 background severity using different doses of  
11 steroid that they received using the GINA  
12 categorization. We can show you the breakdown of  
13 the data by background dose from the pediatric and  
14 adolescent sample, so we'll pull that data for you.

15                   While we're pulling that data, your first  
16 question was around the inclusion criteria for  
17 reversibility in adolescents and children, and I'm  
18 going to pass you to Dr. Weinberg, our clinical  
19 expert, who will respond to that question.

20                   DR. WEINBERG: Mark Weinberg, Avillion. The  
21 requirements from an inclusion criteria were that a  
22 pre-bronchodilator FEV1 of greater than 40 to less

1 than 90 predicted normal value for adults, and  
2 greater than 60 percent predicted normal for  
3 subjects age 4 to 17 after withholding medications,  
4 and then followed up for post-bronchodilator. With  
5 specific values, we can assess what we had for our  
6 adolescent patients. Thank you.

7 DR. PIPER: So to get back to the question  
8 of the background inhaled corticosteroids in the  
9 adolescent and pediatric patients, I'm going to  
10 pass to Dr. Church, our pediatric lead, to walk you  
11 through the split of background dose of ICS.

12 Dr. Church?

13 DR. CHURCH: Alison Church, AstraZeneca.  
14 What I'm going to share with you first is the  
15 proportion of adolescents in each category of ICS.  
16 For patients on low-dose ICS, about 32 percent of  
17 the adolescents -- slide up -- were on low-dose  
18 ICS; approximately 54 percent of patients were on  
19 medium-dose ICS; and 14 percent of patients were on  
20 high-dose ICS, so that's in the adolescents.

21 We also have that data in children. Slide  
22 up. In children, approximately 5 percent of

1 patients were on a background of low-dose ICS;  
2 63 percent were on medium-dose ICS; and roughly  
3 30 percent were on high-dose ICS.

4 I believe you also asked about  
5 reversibility. We did look at reversibility, and  
6 it was quite similar across the three treatment  
7 groups. In children, reversibility was 20 percent;  
8 in adolescents it was 29 percent; and in the  
9 overall population it was approximately 28 percent  
10 Thank you.

11 DR. AU: Any other follow-up questions,  
12 Dr. Greenberger?

13 DR. GREENBERGER: Yes --

14 DR. AU: Then I would move on to --

15 DR. GREENBERGER: Dr. Au, I do have a  
16 question.

17 My question on inclusion criteria is whether  
18 children or adolescents who did not have the  
19 12 percent response to bronchodilator that were  
20 included.

21 DR. PIPER: Ed Piper, AstraZeneca. No,  
22 there were not. They were all reversible.

1 DR. GREENBERGER: Thank you.

2 DR. PIPER: Thank you.

3 DR. AU: Thank you so much.

4 Dr. Stoller?

5 DR. STOLLER: Yes. Good morning. This is

6 Jamie Stoller. I have a question regarding

7 figure 9 in the sponsor's briefing document, the

8 strata of the subgroup analyses, and in some ways

9 it's a possible follow-up to Ms. Oster's question.

10 It regards the possibility of center effects.

11 Recognizing that MANDALA was conducted, I  
12 believe, in 11 countries, you stratified in the  
13 middle of figure 9 regional effects, particularly  
14 U.S. versus non-U.S. And I take note of the fact  
15 that the forest plot in non-U.S. is consistent with  
16 the overall impact, and yet the data from the U.S.  
17 groups sort of cross the line of unity. And I  
18 wonder, therefore, whether there is a center effect  
19 and whether you can comment on why that is, other  
20 than the possibility of inadequate power. So I'll  
21 stop there. Thanks.

22 DR. PIPER: Ed Piper, AstraZeneca. I think

1 you ended your question with a really important  
2 point, which is that we recognize the study isn't  
3 powered to draw statistically robust conclusions  
4 between any subgroup, and therefore we have to be  
5 very cautious about interpreting the data with  
6 respect to any difference in efficacy between the  
7 U.S. and the rest of the world.

8 As I mentioned in my first response, the  
9 U.S. cohort was 27 percent of the overall MANDALA  
10 sample, so what we see in terms of difference does  
11 not exceed what could be explained by chance alone.  
12 So I think in a summary, I think we believe  
13 strongly that this data doesn't suggest that there  
14 is a difference. Thank you.

15 DR. AU: If those are all the questions for  
16 Dr. Stoller, let's go to Dr. Holguin.

17 DR. HOLGUIN: Thank you. Good morning.  
18 This is Fernando Holguin, University of Colorado.  
19 My question is, I believe patients on biologicals  
20 were excluded. Would you mind telling us what was  
21 the rationale for that exclusion? And if so, is  
22 the BDA MDI application in the subgroup different

1 because they were excluded from the trial? Thank  
2 you.

3 DR. PIPER: Ed Piper, AstraZeneca. We  
4 focused MANDALA on a population of moderate to  
5 severe patients with asthma in order to evaluate  
6 the additive impact to BDA's rescue on top of  
7 maintenance steroids. As I mentioned, it is a  
8 fairly broad trial already, with patients going  
9 from low-, medium-, and high-dose inhaled steroids  
10 plus or minus LABA; plus potentially one additional  
11 controller could be theophylline; it could be a  
12 leukotriene receptor antagonist; it could be a  
13 LAMA.

14 So we already had a trial that was very  
15 broad and heterogeneous, and I think one of the  
16 strengths is showing a 27 percent reduction in a  
17 population that's as broad as that is, is  
18 clinically compelling. We did take a decision not  
19 to include patients with biologics because I think  
20 we acknowledge those are a very severe patient  
21 population that would, unfortunately, represent a  
22 relatively small proportion of the overall

1 population, and we thought that was just too far in  
2 terms of opening a really broad trial to be too  
3 broad. So we did make that decision. Your  
4 observation is correct, and the reason behind it  
5 from our perspective. Thank you.

6 DR. AU: Any follow-up questions from  
7 Dr. Holguin? If not, would you --

8 DR. HOLGUIN: Thank you, Dr. Au.

9 So you're not concerned that the BDA MDI  
10 would be used in this population?

11 DR. PIPER: As I said, we didn't run the  
12 study including those patients. I think there's  
13 every reason to believe that the product would  
14 still be effective in those patients, but they are  
15 a special population, as you identified, and I  
16 don't have data to be able to offer you to address  
17 your question. I think it's a good point to make.  
18 Thank you.

19 DR. AU: Great.

20 If there are no other follow-up questions,  
21 let's move on to Dr. Evans, please.

22 (No response.)

1 DR. AU: Dr. Evans, I don't hear you.

2 DR. EVANS: I apologize. I seemed to have  
3 been double-muted. This is Scott Evans. Can you  
4 hear me now?

5 DR. AU: Yes, quite well. Thank you.

6 DR. EVANS: I apologize for that. This is  
7 Scott Evans from MD Anderson. I have a  
8 pharmacokinetics question. I recall from the  
9 videos a comparison between exposures to the  
10 BDA MDI and the approved Pulmicort Respules in  
11 children. I think some of those data are in  
12 table 2 of the sponsor document now.

13 My question is -- please refresh me from  
14 what I thought I learned in the video -- what is  
15 the systemic exposure achieved in children  
16 following the use of the BDA MDI at the expected  
17 usage versus the approved usage of Pulmicort  
18 Respules? Thank you.

19 DR. PIPER: Ed Piper, AstraZeneca. I think  
20 what I can show you is the systemic exposure to  
21 budesonide that we've estimated through population  
22 PK modeling, in addition to maintenance treatment

1 in adults, adolescents, and children. I think that  
2 might be a useful place to start trying to answer  
3 your question. Let's show you that data and see  
4 whether it will address what underpins your  
5 question. I'm going to ask Dr. Asimus, our  
6 clinical pharmacology expert, to share that data.

7 DR. ASIMUS: Sara Asimus, AstraZeneca.  
8 Slide up. This slide shows the simulations that we  
9 have performed to evaluate the exposure of BDA MDI  
10 on top of the use of Pulmicort Respules. This  
11 slide shows the AUC over 24 hours with and without  
12 maintenance treatment. The maintenance treatments  
13 are given by different symbols, and the different  
14 dosing scenarios with BDA MDI are given in  
15 different colors.

16 As you can see, systemic exposure to  
17 budesonide is scaling linearly with increase in  
18 total dose of budesonide, and the maximum increase  
19 in exposure compared to maintenance is about  
20 twofold in the MANDALA maximum scenario. And if  
21 the children are taking 2 or 3 extra inhalations,  
22 the increase in exposure is about 18 percent and

1 28 percent, respectively. Thank you.

2 DR. PIPER: That was an analysis we had.

3 Does that help to answer your question, or would  
4 you --

5 DR. EVANS: No, it actually does. You  
6 covered the two elements in one slide that I was  
7 asking, so thank you.

8 DR. PIPER: Thank you.

9 DR. AU: I believe the next person is Dr.  
10 Tracy.

11 DR. TRACY: Yes. This is Dr. Tracy. This  
12 question is for Dr. Piper.

13 As I looked at all of the stuff and had a  
14 chance to review, obviously, it would have been  
15 nice to have larger sample sizes to improve the  
16 power for both the pediatric and adolescent groups.  
17 But recognizing that, really, the central question  
18 here is, is there enough disease similarity between  
19 adults and kids, I was wondering if either as a  
20 sponsor -- and I'll ask this of the agency also.

21 Obviously, as a sponsor, you think that  
22 things look pretty good, but were there any

1 specific areas that maybe just gave you pause and  
2 made you want to think that maybe there isn't  
3 sufficient similarities in these two populations?  
4 Thank you.

5 DR. PIPER: Ed Piper, AstraZeneca. As I  
6 described in my presentation, we believe that the  
7 context of a rescue treatment with similarities in  
8 asthma are sufficient to warrant the extrapolation  
9 of efficacy that we are proposing. It's probably  
10 no surprise that I come back to you to say, no, I  
11 don't think we had concern. We've thought about  
12 this very carefully, but we do believe that  
13 extrapolation is a justifiable thing to do for BDA  
14 for the reasons described.

15 I think the other thing that I'm sure you  
16 picked up from my presentation is some of the data  
17 from other fast-acting bronchodilator inhaled  
18 steroid combinations is also reassuring because we  
19 see, certainly for budesonide/formoterol, similar  
20 benefit in terms of reduction in severe  
21 exacerbation risk across this span of ages, and we  
22 also see that product is safe in all those age

1 groups.

2                   So for those two reasons, I think we feel  
3 confident that the extrapolation is appropriate  
4 here, but understand that's going to be a major  
5 topic for for this meeting. That's our  
6 perspective. Thank you.

7                   DR. STEVENSON: Dr. Tracy, any follow-up?

8                   DR. TRACY: No. I was expecting that  
9 answer, but I just wanted to hear what he had to  
10 say.

11                  DR. AU: Right. Thank you so much.

12                  The next is actually me. I'm David Au. I  
13 guess I have a question that really kind of speaks  
14 to the rationale for including children and  
15 adolescents to be analyzed [indiscernible], which  
16 is I think the thrust of the argument here  
17 presented by the applicant is really focused on the  
18 preponderance of evidence outside the clinical  
19 trial that are being presented here.

20                  I guess the question I'm asking is, why  
21 include adolescents and [indiscernible] if we're  
22 being asked to consider indications that are not

1 directly derived from the trial data that you're  
2 presenting here today? Thank you.

3 DR. PIPER: Ed Piper, AstraZeneca. It's a  
4 little difficult to hear the question, but let me  
5 repeat it as what I understood you asked.

6 DR. STEVENSON: Hello, everyone. This is  
7 Takyiah speaking, the DFO.

8 Dr. Au, I do believe you may be on speaker  
9 phone. It may help if you do have headphones or  
10 microphones, to maybe use that instead because,  
11 yes, it is difficult to hear your question and when  
12 you speak.

13 DR. AU: I apologize. Is this better?

14 DR. STEVENSON: Yes, that is a little bit  
15 better, yes.

16 DR. AU: [Indiscernible - audio feedback].

17 DR. STEVENSON: I'm so sorry, Dr. Au. Your  
18 signal is probably still going in and out.

19 DR. AU: I don't have a solution for me.

20 Why don't I do this? Let's move on to  
21 Dr. Cloutier, and I will try a different telephone.  
22 How about that?

1 DR. STEVENSON: That's fine. Thank you,  
2 Dr. Au. Sorry for the interruption.

3 DR. AU: No, not at all.

4 DR. PIPER: Ed Piper, AstraZeneca. I think  
5 I caught the gist of the question, so let me try  
6 and help, which I think the question was, what is  
7 it that you learned, having included a small sample  
8 of pediatric and adolescent patients, given the  
9 challenges around exploratory efficacy analysis?

10 I think I'd just like to point out two  
11 really important things that we did learn from  
12 including the pediatric and adolescents. One was  
13 the safety because this was an opportunity to  
14 explore BDA MDI being used as needed in response to  
15 symptoms, and the safety profile that we observed  
16 was reassuring.

17 I think the second thing that I showed in my  
18 presentation was also the pattern of use,  
19 particularly in pediatrics, where one of our issues  
20 was to make sure that the product wasn't being  
21 overused, and I think comprehensively we saw that  
22 the product was not being overused, with no BDA

1 being used on 40 percent of days and the profile  
2 being skewed very far to the left in terms of the  
3 pattern.

4 So that's what I thought the question was,  
5 so I hope that's helpful in addressing what we did  
6 see from the pediatric and adolescent populations.  
7 Thank you.

8 DR. AU: Thank you very much. I don't have  
9 a follow-up at this point.

10 Why don't we go ahead and move on to  
11 Dr. Cloutier.

12 DR. CLOUTIER: Thank you. This is Michelle  
13 Cloutier. The MANDALA study was conducted in  
14 patients who had uncontrolled, moderate to severe,  
15 persistent asthma. That's your primary efficacy  
16 study.

17 Are you recommending that the BDA MDI be  
18 used in individuals with asthma at all ages 4 and  
19 up, in asthma of all severities?

20 DR. PIPER: Ed Piper, AstraZeneca.

21 Your observation, Dr. Cloutier, is  
22 absolutely right. MANDALA was conducted in

1       uncontrolled, moderate to severe patients, but we  
2       included mild asthma patients, defined as those  
3       either taking SABA on their own or low-dose inhaled  
4       corticosteroids plus SABA in the program,  
5       particularly in DENALI.

6               I'm going to ask Dr. Weinberg to just walk  
7       you through what we learned from DENALI in mild  
8       patients, particularly with emphasis on some  
9       exploratory data and exacerbations. So I'll pass  
10      the microphone to Dr. Weinberg.

11              DR. WEINBERG: Mark Weinberg, Avillion. Our  
12      exploration in mild asthma was the DENALI study,  
13      where we looked at a thousand patients receiving  
14      the drug qid, which would support the combination  
15      rule and also administer the drug as these drugs  
16      are currently labeled. Given that this was qid  
17      administration and that it was a 12-week study, we  
18      did not specify severe exacerbations as a  
19      prespecified endpoint to look at, but certainly did  
20      look at it in an exploratory manner.

21              Slide up. Interestingly, what we saw was  
22      with placebo patients, there were 14 severe

1 exacerbations during the 12-weeks; albuterol  
2 administered qid had 20 severe exacerbations with  
3 fully 10 percent of those patients requiring  
4 treatment with systemic corticosteroids; and then  
5 for the BDA arms, 4 and 5 patients. So his is some  
6 supportive data in mild asthma that we have, as  
7 well as the very good safety data that comes out of  
8 the DENALI study. Thank you.

9 DR. CLOUTIER: Thank you.

10 If I could follow up with that, how are you  
11 recommending that this therapy be used in children  
12 5 to 12 years of age who have mild asthma? And the  
13 reason for that is, first of all, the NAEPP  
14 guidelines do not recommend this therapy in  
15 children 4 to 11 years of age because of the  
16 low-quality data, a low certainty involved with  
17 these data.

1                   Turpeinen's study, which is the other study  
2                   that you quote support that, really had a very  
3                   different study design with 6 months of continuous  
4                   ICS therapy before randomization, and that study  
5                   demonstrated a superiority of daily ICS over  
6                   intermittent therapy.

7                   So do you have additional data or other data  
8                   to support use of the BDA MDI in mild persistent  
9                   asthma in children 5 to 12 years of age? Thank  
10                  you.

11                  DR. PIPER: Ed Piper, AstraZeneca. There  
12                  isn't additional data. I think you've highlighted  
13                  the data well. I showed in my presentation, I did  
14                  reference TREXA, and the data that I  
15                  showed -- slide up -- was this data, which was in a  
16                  patient population with mild persistent asthma.

17                  I cited, on the right-hand side of this  
18                  graph, the comparison between the patients that  
19                  received beclomethasone and albuterol as needed on  
20                  its own as rescue, compared to the patients who  
21                  received albuterol on its own as rescue. The  
22                  reason for doing that was to show that, clearly,

1 the addition of beclomethasone in this case, in the  
2 rescue, prevented treatment failures in the TREXA  
3 study. But that was the data that I presented and  
4 the reason why I presented it. I think the  
5 remainder of your observations are sound. I don't  
6 have other data to present. Thank you.

7 DR. CLOUTIER: Thank you.

8 DR. AU: Great. Hopefully, this is better;  
9 I've switched phones.

10 DR. STEVENSON: Yes, we can hear you much  
11 better. Thank you.

12 DR. AU: That's great. I'm happy to hear  
13 that. Thank you.

14 Dr. Kim?

15 DR. E. KIM: Edwin Kim, University of North  
16 Carolina. I don't have any conflicts in this.

17 My question is focused on the children ages  
18 4 to 12 and the efficacy data that appears to be  
19 inconclusive. In thinking about BDA as a rescue  
20 therapy, I guess my question is around your  
21 slide 11, where there is a lot of justification for  
22 extrapolating from adults, to adolescents, to

1 children, and makes the point of treatment and  
2 diagnosis all being identical. But the dose  
3 selected for that younger group was the lower dose,  
4 and the high dose was not studied.

5 I wonder could you speak to whether you  
6 would anticipate that the higher dose could be more  
7 effective in prevention of severe exacerbation.  
8 Thank you.

9 DR. PIPER: Ed Piper, AstraZeneca. I'm  
10 going to pass to Dr. Church to take you through our  
11 rationale for selecting the 80/180 dose for the  
12 4 to 12 age group, and that will explain why we're  
13 not thinking about the higher dose.

14 Dr. Church?

15 DR. CHURCH: Alison Church, AstraZeneca.  
16 When we considered what doses we would take into  
17 the MANDALA study, we made that determination based  
18 on what we knew about the drugs and also what had  
19 been shown previously with budesonide/formoterol's  
20 rescue, as well as based on a dose ranging study  
21 that we conducted. Generally speaking, the ICS  
22 dose for children is usually one-half that for

1       adults, and considering safety, that's the dose  
2       that we chose to take into the MANDALA study.

3               Slide up. One of the reasons that we  
4       thought the 80-microgram dose would be the correct  
5       dose comes from the STAY study, in which  
6       budesonide/formoterol at the dose of 80 was studied  
7       as a rescue on top of budesonide/formoterol, and  
8       what we observe here is a 66 percent reduction in  
9       the risk of severe exacerbations. So that is the  
10      reason why we chose to take the 80-microgram dose  
11     into children. And we did see improvements -- or  
12     reductions in risk of severe exacerbations and  
13     rates with the 80/180 dose of budesonide/albuterol  
14     within the MANDALA study. Thank you.

15               DR. E. KIM: I don't have any follow-ups. .  
16       Thank you very much.

17               DR. AU: Thank you.

18               Ms. Oster?

19               MS. OSTER: Yes. This is Randi Oster, the  
20       consumer representative. I would just like some  
21       clarifying statements around the understanding that  
22       this is a rescue treatment and it is effective.

1       However, when we look at children and growth, I  
2       would like to understand -- there were some  
3       indications that growth is affected by some of  
4       these products, and yet I don't know if children  
5       just take this for the 24-week period or we're  
6       looking at children that take this over their  
7       childhood; what is the effect of growth rates on  
8       them, as well as there were charts that talked  
9       about the cumulative lifetime dose of  
10       corticosteroids with depression and anxiety.

11           Dr. Church's information that she shared did  
12       indicate there were some mood swings, vomiting, and  
13       behavioral issues that I'd like to understand that  
14       because we want to extrapolate from adults,  
15       specifically the effect that this could have on  
16       children, and adults are no longer growing, but  
17       children are. Thank you.

18           DR. PIPER: Ed Piper, AstraZeneca. Your  
19       observations around growth are spot-on. I would  
20       say that we didn't undertake a formal growth study  
21       in our development program, given that there is a  
22       large body of data with budesonide and other

1 inhaled steroids in examining the effect on growth  
2 in children. We know that as a backdrop to the use  
3 of BDA -- and I think it's very important to state,  
4 from a patient perspective -- that we'll be  
5 including information about those well-known  
6 effects on growth of inhaled steroids in the  
7 patient package insert to make sure the patients,  
8 the children and their carers, are aware of what is  
9 known around this topic. The language that we'll  
10 use will be consistent with the approved class  
11 labeling of inhaled steroids that's familiar with  
12 us today.

13 So that issue is not lost on us, and it's  
14 very important that people are informed about it.  
15 But as you've seen from the pattern of use data  
16 that I showed you, in pediatrics, our belief is  
17 that the additional exposure BDA MDI to children is  
18 relatively low, and we're not expecting any  
19 incremental impact on slowing of growth velocity as  
20 a result of use of BDA MDI's rescue. Thank you.

21 DR. AU: We are a little bit behind time  
22 now.

1                   Dr. Cabana, let's take your question, and  
2 we'll call that the last question before moving on.

3                   DR. CABANA: Thank you. This is Michael  
4 Cabana.

5                   Dr. Piper, my question was about slide 15 in  
6 your presentation. It was about the BDA MDI dose  
7 proposed for adolescents. It seems that there's an  
8 odd result here, where the 80 over 180 outperforms  
9 the 160 over 180, but you're endorsing the 160 over  
10 180 dose because it's likely due to chance that the  
11 result was due to low numbers.

12                  But it looks like they're about 30 patients  
13 in each group; I think 34 and 32. Why do you think  
14 the odd result happened with the higher dose, the  
15 160 over 180? Isn't it equally possible that the  
16 80 over 180 had an unlikely result due to chance  
17 and could have favored albuterol sulfate instead?  
18 Why do you think it's the 160 over 180 that  
19 performed in an unlikely manner?

20                  DR. PIPER: Ed Piper, AstraZeneca. We  
21 thought about this question very carefully as we  
22 reviewed the data and determined the dose that we

1 would apply for adolescents, and as I described, it  
2 doesn't seem plausible to us that the 80-microgram  
3 dose of budesonide would outperform the  
4 160-microgram dose. And that's based particularly  
5 on the effect in the overall study, which showed  
6 such a clear dose response for the higher dose, not  
7 only for the primary endpoint, but also for the  
8 secondary endpoints, and indeed the exploratory  
9 endpoints. So given that, typically we see the  
10 adult and the adolescent dose being the same; and  
11 in the absence of finding a plausible explanation,  
12 we went with the 160/180 dose.

13 I don't have the data at my fingertips. I  
14 think you mentioned that sample sizes were in the  
15 30s. I think what we have to recognize here also  
16 is the the actual number of exacerbation events in  
17 the adolescents were very small. They were a  
18 fraction of that. I don't have the data with me,  
19 but it's something like 8 or 9 events. So the  
20 probability of skewing what you observe as a result  
21 of one or two events falling in a particular  
22 pattern I think is very high, so that really, on

1 top of the reasons I previously gave you, explains  
2 why we proposed the higher dose. Thank you.

3 DR. CABANA: This is Michael Cabana to  
4 follow up. If it's possible that the 160/180 could  
5 have been skewed to small numbers, couldn't the  
6 80/180 been skewed due to small numbers? And isn't  
7 it a possible hypothesis as well that both doses  
8 don't work for kids less than 18? It seems like  
9 they both had equal sample sizes, and there's equal  
10 chance that the 80/180 could have been just by  
11 chance as well.

12 DR. PIPER: Thanks. Ed Piper, AstraZeneca.  
13 There are a couple of points to raise, I think, in  
14 response to that. I think it's really important  
15 that we appreciate that the MANDALA data that we do  
16 have with the small sample actually don't rebut the  
17 assumptions that underpin our belief in  
18 extrapolation. The BDA MDI data that we observe in  
19 adolescents and children are within the range of  
20 the variability that we could expect, given the  
21 small sample sizes in the pediatric subgroups, the  
22 adolescent group in this case, and the overall

1 treatment group, so what we observed is not out  
2 with that difference in sample size.

3 The second reason for our confidence in the  
4 higher dose and the effectiveness of that dose is  
5 the data I showed you coming from the pooled  
6 analysis of the budesonide/formoterol data in  
7 adolescents and comparing that to adults. And what  
8 you saw from that data was clear consistency in  
9 reduction of risk of severe exacerbation in  
10 adolescents and in adults. And in that study, the  
11 budesonide/formoterol dose, budesonide was studied  
12 as 160 micrograms. So that was an additional  
13 reason why we feel confident that we are proposing  
14 the correct dose here for adolescents. Thank you.

15 DR. CABANA: Thank you.

16 DR. AU: Thank you.

17 If there are no more clarifying questions  
18 for the applicant, we'll now proceed with the FDA  
19 summary presentation.

20 **FDA Presentation - Kelly Stone**

21 DR. STONE: Good morning again. This is  
22 Kelly Stone, and I'm a pediatrician and

1 allergist/immunologist, as well as the associate  
2 director for therapeutic review in the Division of  
3 Pulmonology, Allergy, and Critical Care here at  
4 FDA. It's my pleasure to provide this overview to  
5 you this morning, and the purpose of this overview  
6 is really to highlight critical elements of our  
7 review of the BDA program to supplement details  
8 that were provided in our briefing document and  
9 prerecorded presentations and to focus today's  
10 discussion.

11 Again, we've gone through this, that BDA is  
12 an oral inhalational aerosol that delivers a  
13 combination of either 40 or 80 micrograms of  
14 budesonide and 90 micrograms of albuterol per  
15 inhalation.

16 The proposed dosing regimen was patients  
17 12 years of age and older and 2 inhalations of the  
18 80/90 BDA, which gives you a total dose of 160  
19 micrograms of budesonide or 180 of albuterol per  
20 dose; and for children 4 to less than 12,  
21 2 inhalations of the 40-microgram budesonide and  
22 90 microgram albuterol. For both doses, it's not

1 to exceed 6 doses or 12 inhalations over a 24-hour  
2 period.

3 The proposed indication is for the as-needed  
4 treatment or prevention of bronchoconstriction,  
5 which is consistent with the current wording for  
6 SABAs. In addition, it adds for the prevention of  
7 exacerbation in patients with asthma 4 years of age  
8 and older. Just to highlight terminology, I may  
9 refer to high-dose BDA, which is the 160/180, and  
10 low dose, which is the 80/180 dose.

11 To briefly provide an overview of asthma,  
12 asthma is a chronic respiratory disease  
13 characterized by bronchoconstriction and airway  
14 hyper-responsiveness, as well as airway  
15 inflammation. Although inhaled corticosteroids to  
16 address airway inflammation have been approved for  
17 the maintenance treatment of asthma, inhaled  
18 corticosteroids have not been approved for reliever  
19 treatment of asthma exacerbations.

20 Asthma is a common disease with an estimated  
21 U.S. prevalence of approximately 8 percent in both  
22 adults and children. It represents one of the most

1 common chronic childhood illnesses. Asthma is  
2 heterogeneous with a spectrum of phenotypes and  
3 endotypes and a range of severities and symptoms.  
4 All patients with asthma, however, regardless of  
5 baseline severity or age, are vulnerable to  
6 episodic bronchoconstriction and increases in  
7 airway inflammation in response to triggers or  
8 acute exacerbations. Exacerbations can be managed  
9 with as-needed short-acting beta agonists, or for  
10 severe exacerbations, with systemic  
11 corticosteroids, which may themselves be associated  
12 with significant morbidity.

13 Severe exacerbations may also require  
14 hospitalization, higher prolonged systemic  
15 corticosteroids, and may result in death. The  
16 goals of asthma treatment are to control symptoms  
17 and prevent exacerbations, and the foundation of  
18 treatment is traditionally comprised of controller  
19 inhalers such as budesonide and reliever treatments  
20 to alleviate symptoms such as albuterol.

21 Since BDA was developed for use as a  
22 reliever inhaler, I'll provide additional

1 background of the current landscape for reliever  
2 medications. Presently, there's only one class of  
3 drug, short-acting beta agonists, that are approved  
4 in the U.S. as reliever treatment for asthma, and  
5 albuterol accounts for the majority of clinical  
6 use.

7 No reliever therapy carries the indication  
8 to prevent severe exacerbations. In recent years,  
9 however, there has been a paradigm shift in the  
10 approach to reliever treatment informed by the  
11 literature on asthma and subsequently reflected in  
12 recent guideline revisions.

13 The first concept is as-needed use of an ICS  
14 and quick-onset LABA as both maintenance and  
15 reliever therapy often known as SMART, which is now  
16 recommended by both GINA and NIH guidelines for  
17 some steps in asthma management. We note that no  
18 ICS LABA, fixed-dose combination inhaler is  
19 currently FDA approved for this indication.

20 Similarly, there's literature regarding  
21 concomitant as-needed use of an ICS with SABA in  
22 response to the symptoms, which is recommended by

1 both set of guidelines as an alternative treatment  
2 for patients with mild asthma. BDA would represent  
3 the first FDA-approved ICS-SABA, fixed-dose  
4 combination.

5 As highlighted in the briefing document and  
6 the prerecorded presentations, although the  
7 mono-components of BDA, budesonide and albuterol,  
8 had both been FDA approved for many years, BDA  
9 would represent a novel product in several ways.  
10 First, the proposed indication to prevent  
11 exacerbations would be new for a reliever treatment  
12 for asthma.

13 Second, this would be the first approved  
14 product with an inhaled corticosteroid for reliever  
15 treatment rather than only for maintenance  
16 treatment, representing a new intended use for an  
17 inhaled corticosteroid in the treatment of asthma.  
18 And third, this would be the first fixed-dose  
19 combination product combining an inhaled  
20 corticosteroid and beta agonist, either  
21 short-acting or long-acting.

22 The meeting goals are to discuss the data to

1 support the efficacy of BDA for the proposed  
2 indication, and particularly to discuss if  
3 extrapolation of adult data to pediatric subjects  
4 is appropriate and if additional data is needed.  
5 We also ask the committee to discuss the safety  
6 data for BDA for the proposed indication, with a  
7 particular focus on pediatric safety concerns, and  
8 then we'll have questions about benefit-risk  
9 assessment that we break down into three age  
10 groups: greater than or equal to 18 years of age;  
11 12 years of age to less than 18; and 4 to less than  
12 12. We break them down because we believe that the  
13 benefit-risk assessment may be different for the  
14 different populations.

15 The two trials most relevant to the BDA  
16 application are MANDALA and DENALI. MANDALA was  
17 designed to demonstrate the contribution of an  
18 inhaled corticosteroid to the combined ICS-SABA  
19 combination when used as needed to prevent severe  
20 acute asthma exacerbations, and the agency views  
21 this trial as the primary source of efficacy data  
22 because of the size of the trial, the exacerbation

1 endpoint, and the administration of the  
2 investigator product as intended in real-world  
3 practice.

4 DENALI was designed to assess the  
5 contribution of each mono-component to the effect  
6 on lung function and satisfies the combination  
7 rule. Although MANDALA and DENALI are both  
8 discussed in our briefing document, MANDALA will be  
9 the focus of this morning's presentation to focus  
10 advisory committee discussions.

11 This is the design of MANDALA. It was an  
12 event-driven variable duration with a minimum of  
13 24 weeks, randomized, double-blind,  
14 active-comparator controlled trial in subjects with  
15 moderate to severe asthma. Starting on the left  
16 side, there was a 2 to 4 week screening and run-in  
17 period, where patients were provided SABA, and then  
18 subjects greater than or equal to 12 years of age  
19 were randomized 1 to 1 to 1 to either high-dose or  
20 low-dose BDA or albuterol sulfate, and there are  
21 approximately 3,000 patients in this age cohort.

22 Subjects 4 to less than 12 were randomized

1       1 to 1 to either low-dose BDA or to albuterol  
2       sulfate, and following randomization, subjects were  
3       instructed to use BDA/PRN in response to triggers  
4       and to alleviate symptoms just as they would with  
5       their usual reliever medication or SABA. The key  
6       efficacy analyses were performed at the primary  
7       completion when 570 severe acute exacerbations had  
8       occurred and the last enrolled adult reached  
9       24 weeks of treatment.

10           As noted at the bottom, all patients came in  
11          on background asthma maintenance treatment that  
12          consisted of medium- to high-dose ICS with or  
13          without an additional controller, or low- to  
14          high-dose ICS plus LABA, plus or minus additional  
15          controller treatment. For all subjects who met the  
16          primary completion date or discontinued treatment,  
17          there was a 2-week follow-up period.

18           MANDALA was powered based on adult and  
19          adolescent subjects greater than 12 years of age,  
20          with a total planned sample size of 3,000, or 1,000  
21          per treatment arm, which was calculated to provide  
22          87 percent power to observe a 25 percent reduction

1 in the risk of severe exacerbation. In addition,  
2 up to 100 patients in the 4 to 11 year age group  
3 was planned to be equally randomized to either  
4 albuterol sulfate or the low-dose BDA.

5 In MANDALA, among 3,132 patients who were  
6 randomized, 3,123 were qualified for the full  
7 analysis, defined as all subjects who were randomly  
8 assigned and took any amount of BDA, and these  
9 subjects were composed of adults, adolescents, and  
10 children. As noted in the figure, the majority of  
11 patients were adults, so for high-dose BDA, both  
12 adults and adolescents were enrolled, with adults  
13 composing about 97 percent of the patients, and  
14 there were 83 children enrolled to receive low-dose  
15 BDA or albuterol.

16 This is the result of the primary efficacy  
17 endpoint, which is time to first exacerbation.  
18 Looking at the high-dose BDA group, the hazard  
19 ratio was 0.73 in comparing high-dose BDA versus  
20 albuterol sulfate, favoring BDA, and the risk  
21 reduction was statistically significant, indicating  
22 a significant delay in time to first severe

1 exacerbation.

2 Descriptively, looking at the number of  
3 subjects with severe exacerbations, the proportion  
4 of subjects with the severe exacerbation was  
5 6 percent lower for a high-dose BDA group compared  
6 to the albuterol group. Looking at low-dose BDA,  
7 the hazard ratio was 0.83 in comparing low-dose BDA  
8 to albuterol, favoring BDA.

9 However, the reduction was marginal with a  
10 p-value of 0.041 and with a 3 percent difference in  
11 the proportion of subjects with a severe  
12 exacerbation. Of note, the low-dose BDA efficacy  
13 comparison included children 4 to 11 years of age,  
14 increasing the sample size for the low-dose group  
15 in albuterol. The type 1 error for these  
16 comparisons was controlled under Hochberg's step-up  
17 method, which I'll discuss in a second.

18 This is a Kaplan-Meier curve for the full  
19 analysis stats, looking at the cumulative  
20 probability of severe exacerbation on the Y-axis  
21 over time from randomization on the X-axis. The  
22 red curve represents the high-dose BDA group, the

1 purple curve represents the low-dose BDA group, and  
2 the blue curve represents the albuterol group. As  
3 you can see, there is separation of the red curve  
4 from the albuterol blue curve from the beginning,  
5 and demonstrating consistently lower cumulative  
6 probability of a severe exacerbation throughout the  
7 study duration.

8 Looking at secondary endpoints, there were  
9 several clinically meaningful secondary efficacy  
10 endpoints that were included in the primary  
11 analysis. The endpoints listed in the table are  
12 under the order defined by a prespecified  
13 hierarchical testing procedure to control type 1  
14 error rate.

15 For each secondary endpoint, it was  
16 evaluated looking at high dose, followed by low  
17 dose. For annualized severe exacerbation rate, the  
18 rate ratio was 0.76 for high-dose BDA compared to  
19 albuterol, favoring BDA, and the reduction was  
20 statistically significant. For low-dose BDA, the  
21 rate ratio was 0.8, which also was statistically  
22 significant.

1 Moving on to total annualized dose of  
2 systemic corticosteroid use, the results showed  
3 that the mean use of systemic corticosteroids was  
4 33.4 percent lower for the high-dose BDA group  
5 compared with the albuterol group, and the  
6 difference was statistically significant. However,  
7 for the low-dose BDA group, although the main use  
8 of systemic corticosteroid was 25 percent lower in  
9 the BDA arm, the result was not statistically  
10 significant; and according to the type 1 error rate  
11 control plan, the valuation for the rest of the  
12 endpoints was considered exploratory.

Finally, this forest plot summarizes the results of the primary endpoint by age-based subgroups. The blue dots here represent high-dose BDA, the red dots, low-dose BDA. While the hazard ratio for time to first exacerbation favors BDA in the overall population and in the subgroup greater than 18 for both low- and high-dose BDA, point estimates for the proposed doses for 12 to less than 18 and 4 to less than 12 subgroups demonstrate point estimates that favor albuterol sulfate over

1 BDA. However, since the confidence intervals were  
2 wide due to small sample size and included the null  
3 value of 1, these results are not statistically  
4 reliable.

5 One of the major points for discussion today  
6 will be extrapolation. In order to support  
7 efficacy in pediatric subjects, Bayesian analyses  
8 were used to understand the amount of adult  
9 information needed in the pediatric analyses to  
10 demonstrate efficacy. One possible decision rule  
11 for concluding a statistically significant  
12 treatment effect of BDA in the pediatric subgroups  
13 is evaluating whether the 95 percent credible  
14 interval excludes the null value of hazard ratio  
15 equal to 1.

16 I will show you the results of the Bayesian  
17 borrowing approach conducted by the agency using a  
18 robust mixture prior approach. Note that the  
19 applicant used a different approach, but we note  
20 that the findings from these methods overall were  
21 consistent. Shown in this figure is the Bayesian  
22 analysis borrowing data for high-dose BDA in

1 adolescents 12 to 17 years of age. This table  
2 shows that in order to obtain a hazard ratio less  
3 than 1, as shown here, the number of borrowed adult  
4 events would be 95, and that correlates with the  
5 percentage of total events from adults in  
6 84.8 percent.

7 To derive a statistically significant effect  
8 of high-dose BDA in this age group with an upper  
9 95 percent credible limit less than 1,  
10 approximately 96 percent of the total events in the  
11 analysis would need to be borrowed from adults. So  
12 what this demonstrates is that in the adolescent  
13 age range, a high degree of Bayesian borrowing  
14 greater than 95 percent would be required to  
15 achieve meaningful results.

16 Similarly, here's the Bayesian analysis  
17 borrowing adult data for low-dose BDA in children  
18 4 to 11, again using a robust mixer prior approach  
19 conducted by the agency. This table shows that to  
20 obtain a mean hazard ratio less than 1,  
21 approximately 89 percent of the total events in the  
22 analysis would need to be borrowed from adults and

1 adolescents. To derive a statistically significant  
2 effect of low-dose BDA in the 4 to 11 age range  
3 with an upper 95 percent credible limit less than  
4 1, approximately 96 percent of total events in the  
5 analysis would need to be borrowed from adults and  
6 adolescents, again demonstrating that a high degree  
7 of Bayesian borrowing is required to achieve  
8 meaningful results.

9 As a result, the concept of pediatric  
10 extrapolation will be central to today's  
11 discussion. Pediatric extrapolation can extend  
12 what we understand about a drug in the adult  
13 population to pediatric subjects based upon careful  
14 clinical and pharmacologic considerations. Thus,  
15 that can help reduce the burden of pediatric data  
16 requirements for drug development programs.

17 The framework shown on the left is from  
18 recent FDA guidance on pediatric extrapolation that  
19 shows the framework with these arrows. On the red  
20 end, it looks at similarity in disease and response  
21 to treatment between reference and target pediatric  
22 population. On the left, it refers to the disease

1 as being different in response to treatment being  
2 different. On the right, as you get to the green  
3 area, there's sufficient evidence that it's the  
4 same disease and response to treatment.

5 Similarly, we look at the evidence of  
6 support. On the left in the red area, no data or  
7 large gaps in knowledge, and on the right, we have  
8 high-quality data with high confidence to  
9 extrapolate a significant amount of data. So in  
10 this case, where a high degree of extrapolation is  
11 needed, it's appropriate, based on this model, if  
12 the disease was the same in adult and pediatric  
13 patients.

14 If the response to treatment is the same in  
15 adult and pediatric patients, there's high  
16 confidence in evidence and there are no significant  
17 knowledge gaps, and we are asking the advisory  
18 committee to discuss these aspects of the available  
19 data to help assess whether extrapolation is  
20 appropriate, and the degree of extrapolation that  
21 is appropriate.

22 The safety database, this summarizes the

1 size of the safety database, which is primarily  
2 from MANDALA with over 3,000 patients and a  
3 thousand patients from DENALI. Just to point out  
4 in red, the number of patients in the 4 to less  
5 than 12 age range is small at 93, and 12 to less  
6 than 18, 125 patients.

7 Since MANDALA use was an event-driven,  
8 variable duration trial in which the investigative  
9 product was used as needed, it's important to  
10 understand drug use patterns. On average, as shown  
11 in the second column, adults who are enrolled in  
12 the treatment period or longer than adolescents or  
13 children, a function of late randomization of  
14 pediatric objects is relative to the primary  
15 completion date.

16 This means the smaller a proportion of the  
17 pediatric sample size -- and accrues up 24 weeks  
18 of data, -- is demonstrated in the third column,  
19 where 88 percent of the overall analysis set  
20 received greater than or equal to 24 weeks of  
21 treatment compared to 70 percent and 66 percent in  
22 the 4 to 11 age range.

1           In the last column, we see that the mean and  
2 median number of daily inhalations was not only  
3 relatively balanced between randomized treatment  
4 arms, but also across age groups. Of note,  
5 children 4 to 11 reported a greater proportion of  
6 days without any investigator product at 45 percent  
7 compared to 25 percent in the total population.  
8 Regarding overuse of investigational product, this  
9 was a rare event with less than 1 percent of study  
10 subjects, including 1 adolescent and 2 children,  
11 using greater than 12 inhalations on more than  
12 consecutive days.

13           In conclusion, these data suggest that BDA  
14 overuse was not a frequent event during the MANDALA  
15 study period and that use patterns across  
16 randomized treatment arms, as well as across age  
17 cohorts, were similar.

18           We focused on ICS-related adverse events.  
19 We analyzed both local and systemic ICS-related  
20 adverse events. For local, the incidence was low  
21 and balanced across treatment arms. Oral  
22 candidiasis occurred more in the BDA arm than the

1 albuterol arm, as would be expected. Looking at  
2 systemic adverse events, the incidence was low and  
3 balanced across treatment arms. For the pediatric  
4 population, we note that there is a small sample  
5 size in duration of exposure. Overall, the  
6 incidence of both local and systemic adverse events  
7 was low, and there was no significant pattern by  
8 age group.

9 Just to summarize the efficacy results, in  
10 the MANDALA study, the primary efficacy endpoint  
11 was met and supported by secondary endpoints.  
12 Results in adults greater than 18 are statistically  
13 significant, but the results in the two pediatric  
14 age groups, 4 to less than 12 and 12 to less than  
15 18, are uncertain. There are wide confidence  
16 intervals due to the small sample size, with the  
17 upper bound exceeding 1. A high degree of Bayesian  
18 borrowing would be required to achieve meaningful  
19 results. DENALI met the dual primary efficacy  
20 endpoint, and this satisfied the combination rule.

21 In terms of safety, the strengths of safety  
22 results, the safety database was adequate for

1 review. Use of greater than or equal to 12  
2 inhalations of BDA was not a significant issue  
3 during the study period. No new signals were  
4 identified, and the adverse event pattern was  
5 consistent with the well-characterized risk of ICS  
6 in SABA. Background ICS was also associated with  
7 risk of ICS-related adverse events.

8 Areas of uncertainty in the safety data  
9 includes a scope of the pediatric data, which is  
10 limited in size and duration of exposure, the data  
11 does not account for potential overuse in the real  
12 world, and long-term effects are unknown, for  
13 example, growth, bone, density and others.

14 For the discussions of the advisory  
15 committee, the forest plot demonstrated here  
16 identifies the areas of uncertainty. Of central  
17 importance for the committee discussion, we note  
18 that the efficacy results for the adolescent  
19 population, both high and low dose, are  
20 inconclusive, and for the 4 to 12 year age range  
21 with low-dose BDA, it's inconclusive.

22 Regulatory considerations specific for

1 pediatric development for BDA, the applicant  
2 proposed enrollment of subjects down to 6 years of  
3 age and older, and we recommended expansion down to  
4 4 years of age and older, based on experience with  
5 budesonide and albuterol in this age group. The  
6 agency recommended a Bayesian borrowing approach,  
7 but no agreement on the degree of borrowing or  
8 statistical plan was made.

9 In terms of precedent, inhaled products are  
10 locally acting. Extrapolation of efficacy based on  
11 pharmacokinetic data is not appropriate.  
12 Typically, adolescents are enrolled in adult  
13 efficacy trials with subsequent dedicated trials in  
14 younger children. The division has led to some  
15 degree of extrapolation in the past, however, the  
16 unique things here is the BDA program is a novel  
17 combination, it's a novel indication for prevention  
18 of severe exacerbations, and it's a novel intended  
19 use. In terms of precedent, generally, areas of  
20 extrapolation have been with established  
21 indications for either the drug or drug class, so  
22 this would be unique.

1                   Again, I show you the criteria that should  
2 be considered in determining whether extrapolation  
3 is appropriate and the level of extrapolation.  
4 Then finally, I'll close with an initial table  
5 looking at benefit-risk summary by age group. For  
6 the greater than or equal to 18 years of age  
7 subgroup, both pivotal trials met the FDA  
8 agreed-upon primary endpoints. BDA high dose  
9 demonstrated benefit in reducing severe  
10 exacerbations and reducing systemic corticosteroid  
11 use.

12                  In terms of risk, there are no new signals  
13 identified, and labeling and routine  
14 pharmacovigilance should be able to manage any risk  
15 from the product. In areas of uncertainty, it's a  
16 novel indication and intended use; the effects on  
17 asthma control and quality of life were not  
18 significant; and then ICS-related adverse events  
19 may be different with real-world use.

20                  In the 12 to 18 year age range, the efficacy  
21 data for the high-dose BDA is inconclusive. Again,  
22 in terms of risk, there are no new signals

1 identified, but based on the inconclusive results,  
2 extrapolation of data from the adult population  
3 would be needed. An area of uncertainty that we're  
4 asking the advisory committee to help provide  
5 feedback on is what degree of extrapolation from  
6 adult data would be appropriate. The scope of the  
7 safety database is small and long-term risks are  
8 not captured.

9 Finally, in the 4 to less than 12 year age  
10 range, the efficacy was inconclusive for low-dose  
11 BDA; again, there were no new signals identified  
12 for adverse events; and the appropriate degree of  
13 extrapolation for this age group may differ from  
14 that for the 12 to 18 year age range. And again,  
15 we would like the advisory committee to provide  
16 feedback on that. The scope of the safety database  
17 was small and long-term risks were not captured.

18 We're going to ask this afternoon for the  
19 advisory committee to discuss the data to support  
20 the efficacy of fixed-dose combination of  
21 budesonide and albuterol, or BDA, for the as-needed  
22 treatment of bronchoconstriction and for prevention

1 of exacerbations in patients 4 years of age and  
2 older. And we're going to ask specifically, for  
3 children 4 to less than 12 and 12 to less than 18,  
4 if extrapolation of adult data to pediatric  
5 subjects is appropriate; and if so, discuss the  
6 appropriate degrees of extrapolation.

7 We're going to ask the committee to discuss  
8 any potential safety concerns, particularly for  
9 children, and there will be voting questions on  
10 benefit-risk assessment for use of BDA for the  
11 proposed indication in patients greater than or  
12 equal to 18 years of age, 12 to less than 18 years  
13 of age, and 4 to less than 12 years of age.

14 Well, this concludes the agency's  
15 presentation with this, and I turn it over to  
16 Dr. Au. Thank you very much for your attention.

17 **Clarifying Questions to the FDA**

18 DR. AU: Thank you very much.

19 I know we are pretty close to our noontime  
20 break for lunch. I was going to ask the committee  
21 if they'd be willing to have discussions for about  
22 15 minutes into our lunch break, and then if there

1 are additional questions, we can re-engage after  
2 the open public hearing session, if that's ok with  
3 everyone.

4                   If there's any dissent, please let me know,  
5 but in the absence of that, can I ask for any  
6 clarifying questions for the FDA?

7                   Please use the raise-hand icon to indicate  
8 that you have a question and remember to lower your  
9 hand by clicking the raise-hand icon after you've  
10 asked your question. When acknowledged, please  
11 remember to state your name for the record before  
12 you speak and direct your questions to a specific  
13 person, if you can.

14                  If you wish for a specific slide to be  
15 displayed, please let us know the slide number, if  
16 possible. Finally, it would be helpful to  
17 acknowledge the end of your question with a thank  
18 you and end your follow-up question with, "That's  
19 all for my questions," so that we can move on to  
20 the next panel member.

21                  So let me go ahead and open it up for  
22 discussion.

1                   Ms. Oster, you have the floor.

2                   MS. OSTER: Yes. Thank you. This is Randi  
3 Oster, and my question is for Kelly Stone. I would  
4 like to know, is there precedent with the FDA?  
5 When we need to go and use data of up to  
6 478 people, do we look at that from a perspective  
7 of race or location to tie back?

8                   The reason for this question is the data  
9 that was given to us is that 75 percent death rate  
10 is higher for black than white, yet the data that  
11 we were given in the studies only showed 13 percent  
12 of the people were black. And therefore, I just  
13 want to make sure that if we were to choose to  
14 extrapolate data, there is a correlation between  
15 location. Thank you.

16                   DR. STONE: I'm sorry. This is Kelly Stone,  
17 FDA. Thank you for that question.

18                   I just want to make sure I understand your  
19 question. You're asking about whether the adult  
20 population from which the data will be extrapolated  
21 is representative of the general population? Is  
22 that your question?

1                   MS. OSTER: Right. The concern is, I just  
2 want to identify as part of the process is that we  
3 correlate where we're extrapolating the data from  
4 so that we're not mixing from one population to the  
5 other when the data has shown that it is not  
6 necessarily equal, based on what has been presented  
7 and what was given to us.

8                   DR. STONE: In our analysis, as we're  
9 reviewing the data from these studies, we do look  
10 carefully at that. I can't give you any specifics  
11 right now, but we do consider those factors in our  
12 analysis.

13                  MS. OSTER: Thank you.

14                  DR. AU: Great.

15                  Dr. Stoller?

16                  DR. STOLLER: Yes. This is Jamie Stoller, a  
17 question for Dr. Stone. It regards precedent with  
18 regard to Bayesian extrapolation.

19                  I understand that this is a unique  
20 indication, and you've made that very clear. My  
21 question is, in instances when you have actually  
22 used pediatric extrapolation, has there ever been

1 an instance in which the magnitude of the  
2 extrapolation has been as big as your analysis  
3 suggests, more than 85-90 percent of the data?  
4 What's been the magnitude of extrapolation that's  
5 been acceptable to the agency as evidence of  
6 pediatric extrapolation? I'll stop there.

7 DR. STONE: Hi. This is Kelly Stone, the  
8 FDA again. If I understand your question, is there  
9 precedence for full extrapolation of efficacy data  
10 from adults to children, the answer to that would  
11 be yes.

12 For products where PK matching is available  
13 and we think that the disease is the same between  
14 the age groups, full extrapolation can be used in  
15 those cases. Certainly, there are examples of full  
16 extrapolation of data. This is a little bit more  
17 challenging in that it's a locally acting product,  
18 and you can't use PK for matching.

19 So the answer to your question is, yes,  
20 there are examples, but for inhaled products, the  
21 considerations are a little bit different and a  
22 little bit more challenging.

1                   DR. STOLLER: Thank you. That completes my  
2 question.

3                   DR. AU: Thank you.

4                   Dr. Greenberger?

5                   DR. GREENBERGER: Thank you. This is a  
6 point of clarification for slide 9. This has to do  
7 with the fact that -- the way I understand it, the  
8 investigational product is a single device with two  
9 medications, and we're adding an inhaled steroid  
10 SABA to either an inhaled steroid LABA or an  
11 inhaled steroid.

12                  I just wanted to clarify that so we're on  
13 the same page, or am I not understanding it?

14                  DR. STONE: This is Kelly Stone, the FDA  
15 again. It's on top of the background therapy.  
16 They continue their background therapy, and anytime  
17 they would use a reliever medication, they would  
18 use the combined ICS-SABA. I don't know if that's  
19 your question, Dr. Greenberg.

20                  DR. GREENBERGER: How it's presented on the  
21 slide I think could be confusing because this is a  
22 single product with two medications in it.

1 DR. STONE: I apologize for that confusion.

2 DR. GREENBERGER: The other point I wanted  
3 to make is regarding the TREXA study. The data are  
4 from the U.S., the way it's presented in the  
5 article, and I think that they used two different  
6 inhalers -- correct me if I'm wrong -- as opposed  
7 to this investigational product, which is a single  
8 unit.

9 DR. STONE: Yes. I don't know the answer to  
10 that off the top of my head, Dr. Greenberger.  
11 We're certainly happy to defer that question to the  
12 applicant.

13 DR. PIPER: Ed Piper, AstraZeneca. I think  
14 I can clarify that. In TREXA, you're correct. It  
15 was two inhalers, beclomethasone and albuterol in  
16 patients who were instructed to take a dose of the  
17 beclomethasone at the same time as they took a dose  
18 of albuterol. So in that instance, it was two  
19 inhalers.

20 I used the example to show the principle of  
21 the combined rescue preparation being effective  
22 compared to albuterol, and to be clear, BDA is

1 indeed a fixed-dose combination product and a  
2 single inhaler of budesonide and albuterol. Thank  
3 you.

4 DR. GREENBERGER: Thank you.

5 DR. AU: If no further follow-up, Dr. May?

6 DR. MAY: I have a question for Dr. Stone.

7 In the summary slides, you indicated that the  
8 results for the kids are inconclusive and that the  
9 long-term risks are unknown. But wouldn't that be  
10 expected for the study, given the small sample size  
11 for this? That's my first question and then after  
12 that I have one more.

13 DR. STONE: Yes, we agree with that  
14 statement. It would be --

15 DR. MAY: So the other question -- I'm  
16 sorry. Go ahead.

17 DR. STONE: No. I was just saying it would  
18 be expected with the small sample size.

19 DR. MAY: And the design, yes.

20 The other question that I have is, would it  
21 be possible to bring up table 7 of the FDA briefing  
22 document? That's the event rates in each of the

1 subgroups. If not, I can repeat from the table the  
2 numbers that I'm looking at and that I have a  
3 question about.

4 DR. STONE: I apologize. We're checking to  
5 see if we can pull up that figure.

6 DR. MAY: Maybe I should just get started,  
7 and then if you bring it up, that would be great;  
8 and if not, that's fine, too.

9 As I think was pointed out previously, the  
10 number of events in the younger age groups are  
11 relatively small. I was struck by the amount of  
12 borrowing that is necessary to reverse the results,  
13 in some sense, that were seen for the kids.  
14 Nevertheless, the rate of event in the 12 to 18 age  
15 group is 9 out of 34, which is 26.5 percent, which  
16 happens to be almost exactly the event rate that is  
17 seen in the adults in the AS group.

18 So number one, I was positively struck by  
19 the event rate in that group is not higher, but the  
20 numbers are really small. So the AS group for the  
21 younger age group from 12 to 18 have an event rate  
22 of 7 out of 34, which is 20.6 percent. So our best

1 estimate for the background rate of events is, for  
2 the AS group, the 26.4 percent that is seen in the  
3 adults.

4 So if I'm not mistaken, even though a large  
5 amount of Bayesian borrowing is required to reverse  
6 the results, I think it would take only two events  
7 in the 12 to 18 age group to result in a null  
8 effect. I know that, based on hazard ratio, it's a  
9 a little bit more complicated than just the percent  
10 of events, but it is just that we are talking about  
11 very small numbers here.

12 And, yes, we see what we see, and that's in  
13 the opposite direction, but if I'm not  
14 mistaken -- and that would maybe be a question for  
15 the statistician -- in general, approximately only  
16 two events would have to be different; two  
17 additional events in the AS group for the kids, for  
18 the 12 to 18 age group, more or two events less in  
19 the high-dose group to result in a hazard ratio  
20 that is approximately 1.

21 Am I correct on that?

22 DR. Y. KIM: This is Yongman Kim, FDA. We

1 agree with the observation, so based on small  
2 sample size and very rare events, maybe one or two  
3 events in the opposite direction maybe changes the  
4 estimate direction. That means there's a lot of  
5 uncertainty in this estimate in this population.

6 DR. MAY: Yes. Thank you, and that was it  
7 for my end.

8 DR. AU: Thank you.

9 Dr. Cabana?

10 DR. CABANA: Hi. This is Dr. Cabana.

11 Dr. Stone, this builds on Dr. May's  
12 question. This is slide 11 of your presentation on  
13 the sample size calculation. This sample size  
14 calculation, it's been described -- well, the  
15 sample size for adolescents has been described as  
16 small, but looking at this slide, it looked like  
17 1,000 patients were supposed to be recruited over  
18 the age of 12.

19 Were there any assumptions made about what  
20 the distribution of adults and adolescents would be  
21 or were they all just lumped together, and it was  
22 just bad luck that we only ended up with

1 90 adolescents?

2           Because if you look at the original sample  
3 size calculation, it looks like there could have  
4 been many more adolescents, and was there any  
5 assumption about how the recruitment would go and  
6 what the sample size should have been when doing  
7 this sample size calculation? It seems like they  
8 were just all lumped together. Thanks for  
9 clarifying.

10           DR. AHN: Hi. This is the primary  
11 statistical reviewer, Dong-Hyun Ahn.

12           So yes, a thousand patients per group was  
13 planned for adolescent, adult, and other patients  
14 combined, and there was no specific plan for how  
15 much from each adolescent or adult patients were to  
16 be recruited at the design stage. So it turns out  
17 that only a small portion of adolescents from the  
18 planned population sample size was recruited in the  
19 MANDALA trial.

20           DR. CABANA: So just to clarify; two points.  
21 This was never really powered for -- the way this  
22 is structured, it was never really powered for a

1 specific analysis for adolescent subjects between  
2 12 and 18. Number two, it seems like it was  
3 assumed that all these patients, these 1,000  
4 patients, would be lumped together, adult and  
5 adolescent subjects, so there was never any planned  
6 a priori analysis of adolescent patients between  
7 12 and 18 separately. Thank you.

8 DR. AHN: Yes, that's correct.

9 DR. CABANA: Thank you. That helps explain  
10 why the distribution was this way. Thank you for  
11 clarifying.

12 DR. AU: Thank you

13 Why don't we take the last question,  
14 clarifying question, from Dr. Dykewicz?

15 DR. DYKEWICZ: Yes. Thank you.

16 We've been discussing, not only in this  
17 presentation but the sponsor's presentation,  
18 extrapolation of data in the pediatric and  
19 adolescent group from budesonide/formoterol to the  
20 current product under consideration, budesonide/  
21 albuterol.

22 Now, of course, an assumption would be that

1 the inhaled corticosteroid component of both  
2 products is responsible for the bulk of the  
3 exacerbation risk reduction, but the question that  
4 I'm wrestling with, and I wonder whether the agency  
5 has considered this, is we are looking at the  
6 difference between a product that has a long-acting  
7 beta agonist versus a short-acting beta agonist,  
8 and the possibility the short-acting beta agonist's  
9 nature plays some role in the protective effect of  
10 the combination product.

11 So my specific question is whether the  
12 agency has similar or any other additional  
13 reservations about the extrapolation of budesonide/  
14 formoterol data to budesonide/albuterol. Thank  
15 you.

16 DR. SEYMOUR: Hi. This is Dr. Seymour, the  
17 division director. Thank you for that question. I  
18 think we're really focusing on this development  
19 program. With inhaled products, they're each  
20 unique, they have unique delivery, so we're not  
21 necessarily going to be able to glean a whole lot  
22 from the literature for the ICS LABA to directly

1 inform the efficacy data for this product. It's  
2 important context to understand, but I don't know  
3 that we can leverage it for the efficacy  
4 instruction in this program.

5 DR. CABANA: Thank you. No questions.

6 DR. AU: Thank you very much.

7 We'll now take a lunch break, and I  
8 apologize for running us a little bit long for  
9 that, but we will convene at 1 p.m. Eastern Time.  
10 Panel members, please remember that there should be  
11 no chatting or discussion of the meeting topics  
12 with other panel members during the lunch break.  
13 Additionally, you should plan to rejoin around  
14 12:45 Eastern to ensure that you are connected  
15 before we convene at 1:00 p.m. Thank you very  
16 much. We'll see you shortly.

17 (Whereupon, at 12:17 p.m., a lunch recess  
18 was taken.)

19

20

21

22

A F T E R N O O N S E S S I O N

( 1 : 00 p.m. )

## Open Public Hearing

4 DR. AU: This is David Au. I hope everyone  
5 had an enjoyable lunch. I think we should go ahead  
6 and get started again in the open public hearing  
7 session.

8                   Both the FDA and the public believe in a  
9 transparent process for information gathering and  
10 decision making. To ensure such transparency at  
11 the open public hearing session of the advisory  
12 committee meeting, FDA believes that it's important  
13 to understand the context of the individual's  
14 presentation.

19 (Audio interference.)

20 DR. STEVENSON: This is Takyiah speaking; a  
21 friendly reminder to please mute your lines, your  
22 phones, when you're not speaking. Thank you.

1 DR. AU: -- Thank you. I'll continue.

2 For this reason, FDA encourages you, the  
3 open public hearing speaker, at the beginning of  
4 your oral or written statement to advise the  
5 committee of any financial relationship that you  
6 may have with the sponsor, its products, and if  
7 known, its direct competitors. For example, this  
8 financial information may include the sponsor's  
9 payments for your travel, lodging, or other  
10 expenses in connection with your participation in  
11 the meeting.

12 Likewise, the FDA encourages you, at the  
13 beginning of your statement, to advise the  
14 committee if you do not have any such financial  
15 relationships. If you choose not to address this  
16 issue of financial relationships at the beginning  
17 of your statement, it will not preclude you from  
18 speaking.

19 The FDA and this committee places great  
20 importance in the open public hearing process. The  
21 insights and comments provided can help the agency  
22 and this committee in their consideration of the

1 issues before them.

2 That said, in many instances and for many  
3 topics, there will be a variety of opinions. One  
4 of our goals for today is for this open public  
5 hearing to be conducted in a fair and open way,  
6 where every participant is listened to carefully  
7 and treated with dignity, courtesy, and respect.  
8 Therefore, please speak only when recognized by the  
9 chairperson. Thank you for your cooperation.

10 Speaker number 1, your audio is now  
11 connected. Will speaker number 1 begin and  
12 introduce yourself? Please state your name and any  
13 organization you are representing for the record.  
14 Thank you.

15 DR. DICKENS: Hello. My name is Michelle  
16 Dickens. I am a nurse practitioner and a certified  
17 asthma educator, and work in Springfield, Missouri,  
18 for a hospital system called CoxHealth. For my  
19 disclosures, I am a speaker for AstraZeneca for  
20 severe asthma, and I have participated in the  
21 advisory board for this product. I've also in the  
22 past participated as an advisory board member for a

1 different company, Boehringer Ingelheim.

2                   In the course of evaluating this  
3 application, you will be presented with data from  
4 several clinical trials, however, my role today is  
5 to take you out of the clinical trial and into the  
6 clinic. I hinted at this in my written comment,  
7 but I felt it warranted a few minutes to give you a  
8 glimpse of the reality of asthma care from the  
9 trenches.

10                  For the past 12 years, I have worked as a  
11 nurse practitioner and asthma educator in an asthma  
12 specialty clinic. My days are spent in direct  
13 patient care. I counsel asthma patients of all  
14 ages about how to manage their disease, and I  
15 prescribe medication therapy.

16                  I consider myself to be skilled at  
17 explaining the need for controller medicines, the  
18 risk of oral steroids, and the importance of  
19 trigger avoidance, and I've been told by patients  
20 and students that I'm a good educator, and I have  
21 the luxury of spending at least 20 to 30 minutes  
22 with each patient, educating them about their

1 disease. But at the end of the day, am I  
2 successful?

3 Judging by the refill patterns of my  
4 patients for their asthma controller medicine, I'm  
5 better than the average. Unfortunately, that might  
6 not be saying much because the average refill rate  
7 is only about 35 percent; a solid F if we were  
8 getting graded as a community.

9 Now, let's think back to my days in primary  
10 care. I spent 10 years in family practice in a  
11 rural health clinic. It's where I developed my  
12 passion for asthma care. In a 15-minute visit, I  
13 was expected to address my patients' hypertension,  
14 diabetes, and their asthma. Is it any wonder that  
15 even the most basic message about what it means to  
16 have good asthma control or how to use the asthma  
17 medications get lost or never addressed? This is  
18 the challenge we face in asthma care.

19 While I may see the most severe asthmatics  
20 in my specialty clinic, the majority of those  
21 so-called mild patients are being managed in the  
22 primary care setting. But are they really mild or

1 just underestimated? Because now we know that  
2 these mild asthmatics have exacerbations at a rate  
3 similar to my severe ones. Over half of the mild  
4 asthmatics will have a significant flare up in the  
5 next year, and clearly we are failing our asthma  
6 patients.

7 However, we're not failing in asthma care  
8 because we have shortages of good medicines or  
9 well-meaning healthcare providers. We are failing  
10 because we have refused to accept the reality of  
11 how patients treat their asthma. It's time for a  
12 reality check about asthma.

13 Our enemy is a chronic disease that by  
14 nature waxes and wanes over time. Despite our best  
15 efforts to educate patients on the importance of  
16 taking a controller, even when they're feeling  
17 well, they simply don't do it. We blame the  
18 patient, labeling them non-compliant. Instead, we  
19 should blame ourselves for being non-observant.

20 The 2020 NAEPP asthma guidelines update  
21 specifically addresses the use of short-acting  
22 bronchodilators and inhaled steroids for as-needed

1 combined therapy in mild asthmatics as an  
2 alternative to the traditional regimen of daily ICS  
3 and as-needed product. I'm a big supporter of this  
4 approach, and I've been trying to implement it for  
5 my appropriate patients in my practice. However,  
6 this requires the patient to use two different  
7 inhalers during each dose.

8 It sounds simple enough, but in the real  
9 world, I'm finding that patients get confused about  
10 the plan, and they often are reverting back to just  
11 using the SABA because it gives quick relief. Once  
12 again, we would label these patients as non-  
13 compliant with our present therapy.

14 We need a new treatment paradigm, one that  
15 is patient-centered, and that is what this new  
16 inhaler can do for us. These medicines are not  
17 new. We've had them in our toolbox for decades,  
18 but now we're thinking outside the box, using an  
19 innovative approach to take the tried-and-true  
20 medications in our arsenal and rethink about how  
21 best to use them. This inhaler tailors our  
22 treatment to match the way that patients behave in

1 the real world, instead of expecting them to  
2 conform to our ideas.

3 Patients will continue to reach for their  
4 short-acting beta agonist for rescue. If we  
5 replace it with the combination of a short-acting  
6 beta agonist and inhaled corticosteroid, we address  
7 both the symptoms and the underlying inflammation.  
8 It is a simple but elegant solution that is a win  
9 for everyone. The patient gets quick relief while  
10 we succeed at treating the airway inflammation, and  
11 as you've heard from the clinical trial data, this  
12 reduces exacerbations. This could be our new  
13 reality, where we translate the success of a  
14 clinical trial into success in my clinic.

15 Thank you very much for allowing me to  
16 present.

17 **Clarifying Questions (continued)**

18 DR. AU: Thank you very much.

19 The open public hearing portion of this  
20 meeting is now concluded. We will no longer take  
21 comments from the audience. The committee will now  
22 turn its attention to address the task at hand, the

1 careful consideration of the data before the  
2 committee, as well as the public comments.

3 We will now take an opportunity to take any  
4 remaining clarifying questions. Please use the  
5 raise-hand icon to indicate that you have a  
6 question and remember to put your hand down after  
7 you've asked the question. Please remember to  
8 state your name for the record before you speak and  
9 direct your question to a specific presenter, if  
10 you can.

11 If you wish for a specific slide to be  
12 displayed, please let us know the slide number, if  
13 possible. As a gentle reminder, it would be  
14 helpful to acknowledge the end of your question  
15 with a thank you and end of your follow-up question  
16 with, "That is all for my questions," so that we  
17 can move on to the next panel member.

18 We'll start with Dr. Kim.

19 DR. E. KIM: Edwin Kim, University of North  
20 Carolina. This is a question for Dr. Stone.

21 If I'm understanding the proposal, the  
22 company is looking to advocate for the high dose

1 regimen for adults, and my question comes back to  
2 the children. Is there a precedent to  
3 extrapolating data to, I guess what I'm going to  
4 call a secondary outcome, the lower dose that was  
5 analyzed versus the high dose, which is what's  
6 being proposed for adults? Thank you.

7 DR. SEYMOUR: Hi. This is Dr. Seymour from  
8 FDA, the division director. They have data that  
9 they've obtained in the pediatric 4 to 11 age group  
10 with the lower dose, so I don't think we  
11 necessarily would be relying on extrapolation from  
12 the higher dose to the lower dose. I believe the  
13 lower dose was also studied in the adult  
14 population, and there was success with that dose as  
15 well. So there is that information as well that we  
16 have in the adult population to look towards.

17 DR. E. KIM: This is Edwin Kim from North  
18 Carolina again with a follow-up. Where that  
19 question is coming from was, if I understood the  
20 data, there was benefit in the adults for both  
21 high- and low-dose regimen, although the higher  
22 dose seemed to have a stronger benefit.

1       Representatives earlier had given arguments for why  
2       they studied the lower dose and not necessarily the  
3       higher dose, and I'm, again, trying to just clarify  
4       when extrapolation -- when borrowing of data is  
5       going to be done, is this borrowing from the lower  
6       dose data accumulated in the adults, which seemed  
7       to have lesser efficacy, or is there somehow -- and  
8       this may show -- maybe not -- being completely  
9       comfortable with the sort of Bayesian borrowing  
10      concept. Thank you.

11                   DR. SEYMOUR: This is Dr. Seymour again. I  
12                   don't think I have much to add, really, other than  
13                   they did study the lower dose in the adult  
14                   population. With inhaled corticosteroids, in  
15                   general, I would say that, typically, in the 4 to  
16                   11 age group, we do see the lower dose as the dose  
17                   that's approved. So there is that historical  
18                   information about use of ICS and pediatric patients  
19                   as well.

20                   DR. E. KIM: Okay. I think the sticking  
21                   point for me is just the use of this as a rescue  
22                   versus a controller, but understood. Thank you.

1 No further questions.

2 DR. AU: Thank you.

3 Dr. Holguin?

4 DR. HOLGUIN: Yes. Fernando Holguin,

5 University of Colorado; a question for Dr. Kelly  
6 Stone or the biostatistical team.

7 I know there are several methods for  
8 Bayesian extrapolation or borrowing, and there's  
9 also the risk of potentially introducing biases and  
10 overinflating type 1 error. So since this is such  
11 at the heart of the discussion, I could use a  
12 little more information as to the methodology used  
13 for Bayesian extrapolation.

14 DR. TRAVIS: Hi. This is James Travis. I'm  
15 the lead statistician for the pediatric and  
16 maternal health team. I'd like to ask what  
17 particular type of information you're looking for  
18 on the method? We provided additional information  
19 on the details in one of the appendices in the  
20 briefing document, but I think there's a lot to  
21 look at, and it would be helpful if you can focus  
22 the question a little bit more.

1                   DR. HOLGUIN: Well, the question is,  
2 depending on the methodology that you use, you may  
3 end up with a different estimate or potentially  
4 introducing biases, and it's sort of a simple way  
5 to ask whether those were taken into consideration,  
6 and --

7                   DR. TRAVIS: Well --

8                   DR. HOLGUIN: I'm sorry.

9                   DR. TRAVIS: Sorry. Go ahead.

10                  DR. HOLGUIN: How you selected data to  
11 borrow; is that just done at random, for example?  
12 Are the rates of the historical data and  
13 comparative data the same, and things like that?  
14 Thank you.

15                  DR. TRAVIS: Yes. Thank you for your  
16 question. Yes, it's a general concern with using  
17 these methods. You do get some kind of influence  
18 on the point estimates based on the proximity  
19 between the prior mean and the observed mean. The  
20 mixture prior model tries to reduce it. It doesn't  
21 completely eliminate the bias and, yes, it's  
22 definitely a concern. But I think the advantage of

1       this type of method is it allows us to quantify the  
2       amount of borrowing, and I think that compensates  
3       for the disadvantages in this case.

4                   DR. HOLGUIN: Thank you. No further  
5       questions.

6                   DR. AU: Thank you.

7                   Dr. Carlson?

8                   DR. CARLSON: Hello. This is Dawn Carlson,  
9       and I have a question for Dr. Stone, and it's  
10       really based on the discussion prior to launch  
11       about the extrapolation. My understanding is that  
12       it was based on the adults in the MANDALA trial,  
13       not any external studies.

14                  Then the second question was that when there  
15       was a recommendation to do the Bayesian methodology  
16       for extrapolation, was there any estimate? I know  
17       there was no agreement on the amount, but was there  
18       any estimate of what degree of extrapolation might  
19       be required, given the small sample size?

20                  DR. STONE: This is Kelly Stone, FDA. There  
21       was a discussion about using a Bayesian borrowing  
22       approach, but there was no discussion about the

1 amount of borrowing that would be appropriate.

2 Your first question I think had to do with  
3 whether the data would come only from the adult  
4 population in this study or from external data, if  
5 I understood correctly, and it would just be based  
6 on the adult data from the MANDALA trial.

7 DR. CARLSON: Okay. Thank you. That  
8 completes my questions.

9 DR. AU: Ms. Oster?

10 MS. OSTER: Yes. I just wanted to clarify  
11 that if we need 478 borrowed adults to get to a  
12 96 percent confidence level for the 4 to 12 year  
13 olds, that the thousand population that you had was  
14 adequate; or is it, in the ideal world, you really  
15 would want to look for more people?

16 I think it's a very direct question, is do  
17 we even have enough people in the sample size for  
18 the borrowing rate needed?

19 DR. AU: Can I ask to whom is that question  
20 directed?

21 MS. OSTER: Oh. Dr. Stone.

22 DR. AU: Okay. Thank you.

1 DR. STONE: Yes, this is Kelly Stone for the  
2 FDA. I'm sorry for the delay unmuting.

3 We think that there's enough data, adult  
4 data, from the MANDALA trial to allow extrapolation  
5 if it was determined that it was appropriate. I  
6 don't know if that answers your question, but we do  
7 think that there is sufficient data amongst the  
8 adult population in the study to extrapolate if  
9 that was deemed to be appropriate.

10 MS. OSTER: And that's across demographics  
11 as well.

12 DR. STONE: Across demographics as well,  
13 exactly.

14 MS. OSTER: Thank you.

15 DR. AU: Thank you.

16 Dr. Tracy?

17 DR. TRACY: Dr. Tracy here. I'm not exactly  
18 sure who I would direct this to, but we talked  
19 about this being a novel indication, but the  
20 products themselves really are not that novel.  
21 They've been around for a long time, and there's a  
22 long history of clinical and regulatory background

1 to support that.

2 I was just wondering, is there anything in  
3 particular, when you combine these two agents  
4 within a single dosing device, that warrants  
5 additional consideration? And again, I'm not sure  
6 exactly who that would go to, but I'm assuming it's  
7 safer, and we've looked at the safety stuff. But  
8 are there any other things we need to be thinking  
9 about? Thank you.

10 DR. PIPER: Ed Piper, AstraZeneca. May I  
11 offer a comment, Mr. Chair?

12 DR. AU: Yes, please go ahead.

13 DR. AHN: Hi. This is Dong-Hyun Ahn, the  
14 clinical pharmacology team leader from FDA. Yes,  
15 from a clinical pharm perspective, if you combine  
16 two active ingredients into one inhaler, or one  
17 deep inhalation device, we also ask applicants to  
18 do a drug-drug interaction study to see, at least  
19 at the systemic exposure level, if one drug or one  
20 active ingredient can affect the system PK of the  
21 other one.

22 Does that answer your question?

1                   DR. TRACY: Yes, thank you. That's exactly  
2 what I was looking for. Thank you. No follow-on  
3 questions either.

4                   DR. AU: No additional comments.

5                   Let's go to Dr. Hunsberger.

6                   DR. HUNSMERGER: Yes. This is Sally  
7 Hunsberger. This is directed at probably the FDA.  
8 I just wanted to understand a little more what the  
9 goals or objectives were of the FDA when they ask  
10 for a company to include younger kids but allowed  
11 such a small sample size. So was it really just to  
12 get some safety information? Because clearly, with  
13 that small sample size, you won't get any efficacy  
14 information.

15                  So I'm just trying to understand  
16 statistically or what you were thinking when you  
17 asked for these participants to be included.

18                  DR. SEYMOUR: This is Dr. Seymour. I'll try  
19 and address your question. This program dates back  
20 a number of years, and I think when we initially  
21 had conversations with the sponsor about the  
22 development program, they initially were proposing

1 to do a trial, probably MANDALA-like, that was  
2 going to be in patients 12 years of age and older.  
3 And we sort of questioned what the plan was their  
4 pediatrics program, and I don't think at that time  
5 they'd really developed one yet.

6                   Given the familiarity with these moieties  
7 and the idea of how this product would be used, at  
8 that time we said, "Why don't you consider  
9 conducting the trial in the entire age group?" So  
10 I think they took that advice and came back with a  
11 proposal and, ultimately, we did agree to what the  
12 applicant proposed in terms of the sample size in  
13 the 12 and older, 12 to 18 and 4 to 11 years of  
14 age.

15                   But at that time, I don't think we fully  
16 appreciated the difference in this development  
17 program compared to other asthma products, which  
18 thinking about it, other asthma programs are  
19 generally looking at FEV1 as a continuous endpoint;  
20 so this power, this number of patients with a  
21 continuous endpoint, might have been a sufficient  
22 number of patients to have a little bit more

1       statistical confidence. But here, I don't know  
2       that we would have anticipated that we would be  
3       looking at a handful of events in 4 to 11 years of  
4       age and 12 to 18 years of age to really be making a  
5       decision on.

6                   So in hindsight, maybe having more data in  
7       these age groups would have been a good idea, but  
8       this is a novel program, and I think including  
9       patients from 4 years of age and older is not  
10      something we've typically done with our development  
11      programs, but it's gone back to, really, some  
12      familiarity with these moieties and dosing that we  
13      already knew, and knew that they were effective for  
14      other uses in asthma.

15                  So this is the data we have, and we have to  
16      make a decision about this, and it's really, I  
17      think, a good opportunity to take the question of  
18      extrapolation and asthma in adolescents and  
19      children 4 to 11 years of age to you to get your  
20      opinion on, and whether this is enough data or not  
21      to support a favorable benefit-risk.

22                  DR. AU: Thank you. That's very helpful.

1 DR. PIPER: Ed Piper, AstraZeneca. May I  
2 make a comment building on Dr. Seymour's point,  
3 please --

4 DR. AU: Yes. Please go ahead.

5 DR. PIPER: -- saying to Dr. Seymour that  
6 one of the pieces of data we have that might be  
7 helpful we haven't shared yet is what we learned  
8 about lung function and changes in lung function in  
9 the MANDALA study, in the two populations of  
10 interest. So I'm just going to briefly pass you to  
11 Dr. Church just to show you two slides on that data  
12 that might be relevant. Thank you.

13 DR. CHURCH: Alison Church, AstraZeneca.  
14 Slide up. Lung function was measured at weeks 12  
15 and 24, and was an exploratory endpoint in the  
16 overall population, and we did a post hoc analysis  
17 in the adolescent population.

18 Slide up. I'll just describe the data. At  
19 week 12, we saw a 202 milliliter improvement in  
20 FEV1 with the lower dose of BDA, the 80/180, versus  
21 albuterol with a p-value of 0.02. At week 24, that  
22 value was 196 with a p-value of 0.048. For the

1 BDA 160/180 dose versus albuterol, we saw an  
2 improvement of 70 mL with a p-value of 0.41, and at  
3 week 24, the improvement was 149 mL with a p-value  
4 of 0.14. We also have additional lung function  
5 data in children. Again, looking at the lung  
6 function at week 24 and week 12, we saw an  
7 improvement of 131 milliliters with a p-value of  
8 0.104, and at week 24 of 183 with a p-value of  
9 0.056. Thank you.

10 DR. AU: Thank you.

11 DR. PIPER: It's helpful to share the lung  
12 function data that we haven't previously shared,  
13 given that is a high relevant endpoint in these  
14 subpopulations. Thank you.

15 DR. AU: Any follow-up, Dr. Hunsberger?

16 DR. HUNSMERGER: No. Thank you. That was  
17 very helpful; appreciate it.

18 DR. AU: Yes. Great. Thank you.

19 Dr. Jones?

20 DR. JONES: Yes. Bridgette Jones. I had a  
21 question -- I think it's for the sponsor -- about  
22 the proposed dosing regimen.

1                   You proposed 2 inhalations of 80/90 in 12 or  
2 greater and 2 inhalations of 40/90 in 4 to 12, not  
3 to exceed 6 doses in 24 hours. Can you talk about  
4 how you came up with the maximum 24-hour cumulative  
5 dose?

6                   DR. PIPER: Ed Piper, AstraZeneca. Yes.  
7                   The maximum dosing that we chose is consistent with  
8 the albuterol label, which allows 12 doses in a  
9 24-hour period, and that was what we studied in the  
10 MANDALA trial. That was the maximum dose we  
11 advised that patients should take. And as you've  
12 seen from the data I showed you on the pattern of  
13 use, that was a dose that was used very  
14 infrequently, presumably at the time of the  
15 worst -- in the run-up to an exacerbation. So yes,  
16 it was based on the albuterol data and existing  
17 label, and was studied in MANDALA. Thank you.

18                   DR. JONES: Thank you.

19                   DR. AU: Great.

20                   Ms. Oster?

21                   MS. OSTER: Yes. We've been talking about  
22 age and not weight or size, and we all know, unlike

1       adults that stay the same size, that children are  
2       growing.

3               Can you comment on the limitations of age  
4       and the potential of incorrect dosing because of  
5       their weight and size and how that was looked at?  
6       And that would be a question for the sponsor.

7               DR. PIPER: Ed Piper, AstraZeneca. This is  
8       an inhaled medicine, so the site of action is local  
9       in the lungs, so weight is less of a concern than  
10      it would be for a drug with systemic  
11      bioavailability. Thank you.

12               DR. AU: Thank you. Any follow-up?

13               (No response.)

14               DR. AU: If not, Dr. Weinberg from the  
15      sponsor?

16               DR. WEINBERG: No. Thank you, sir.

17               DR. AU: Very good.

18               Dr. Schwartzott? I'm sorry.

19               Ms. Schwartzott?

20               MS. SCHWARTZOTT: Yes. I'm the patient  
21      representative, Jennifer Schwartzott, so I have  
22      questions that are a little bit different from the

1                   doctors.

2                   I would like to see some data relating to  
3                   the moderate to severe side effects and/or adverse  
4                   events in the pediatric patients. I'm concerned  
5                   about the difference in the safety risk with those  
6                   from the young children compared to the  
7                   adolescents.

8                   DR. PIPER: Ed Piper, AstraZeneca. I'm  
9                   going to pass you to our pediatric lead,  
10                  Dr. Church, who will take you through some of the  
11                  adverse event data observed in the trial in  
12                  pediatrics and adolescents.

13                  Dr. Church?

14                  DR. CHURCH: Alison Church, AstraZeneca.

15                  For adolescents, no AEs, preferred term, was  
16                  reported by more than two subjects in any treatment  
17                  group. The adverse events reported were consistent  
18                  with those expected in a population of adolescents  
19                  with asthma. There were no AEs leading to  
20                  discontinuation of treatment, nor AEs considered  
21                  related to investigational product reported in  
22                  adolescents.

1                   In children, the only AE, preferred term,  
2 that was reported by more than two subjects in any  
3 treatment group was for influenza, which was  
4 reported by three subjects in the albuterol group  
5 and two in the BDA 80/180 group. One patient in  
6 the BDA 80/180 group discontinued treatment due to  
7 AES, or adverse events, of oropharyngeal pain and  
8 cough, and two subjects reported adverse events  
9 considered related in the BDA 80/180 group. One  
10 patient reported an adverse event of cough and the  
11 other and adverse event of cough and pharyngitis  
12 that were considered related by the investigator.  
13 Thank you.

14                   MS. SCHWARTZOTT: Can I ask a follow-up  
15 question, then, about that?

16                   DR. AU: Please do.

17                   MS. SCHWARTZOTT: Okay. In regards to  
18 heart rate, tachycardia, was that an issue with any  
19 of the side effects? I know that it can be a  
20 problem with patients that can't take the albuterol  
21 on its own.

22                   DR. PIPER: Ed Piper, AstraZeneca. No,

1 tachycardia was not an adverse event that we  
2 observed during the trial. Thank you.

3 MS. SCHWARTZOTT: Thank you. That's all I  
4 have.

5 DR. AU: Alright.

6 Let me ask, Dr. Holguin, your hand is still  
7 up. Do you have an additional question?

8 (No response.)

9 DR. AU: I'll take that silence as a no, and  
10 we'll go on to Dr. Cloutier.

11 DR. CLOUTIER: Thank you very much.

12 This is Michelle Cloutier. I have a  
13 question related to safety of the fixed combo in  
14 4 to 12 year olds. When you look at the frequency  
15 of doses, you pointed out the very large percentage  
16 of children who did not use -- I think it was  
17 40-45 percent of children did not go for the rescue  
18 medication during the day. But the next most  
19 common were 4 puffs, or 2 to 4 puffs, which is  
20 1 to 2 inhalations per day. Did you look at  
21 all -- in terms of those 2 to 4 puffs, what number  
22 of those were related to prevention of exercise-

1                   induced bronchoconstriction?

2                   The reason that I ask that is children  
3                   often -- these school-age children in  
4                   particular -- use bronchodilator prior to recess,  
5                   and many of them use albuterol, for example, prior  
6                   to sport. Is it possible that these children, who  
7                   have mild asthma, whose asthma is well controlled,  
8                   may be unnecessarily exposed to inhaled  
9                   corticosteroid when they're using protection in  
10                  terms of exercise?

11                  I think that's a question for Dr. Piper.

12                  DR. PIPER: Ed Piper, AstraZeneca. Thank  
13                  you, and you're right. Just to help the whole  
14                  panel, in the MANDALA study, patients were  
15                  permitted to take BDA or albuterol in response to  
16                  to symptoms as they would for normal rescue. They  
17                  were also permitted to take it if they were aware  
18                  they were at risk of bronchoconstriction from known  
19                  triggers, particularly exercise.

20                  So when we look at the data that shows the  
21                  pattern of use in the 4 to 11 year old group, we  
22                  have to look at that and recognize that there are

1       two components to that, patients taking in response  
2       to symptoms and those taking it prophylactically.  
3       So we saw it as being quite reassuring data that  
4       patients were not overusing the product, taking  
5       into account both those opportunities to use the  
6       product were available in the protocol, so we  
7       didn't have that concern.

8                   DR. CLOUTIER: But just in follow-up to  
9       that, Dr. Piper, how do you know that in these  
10       children -- many of whom had many days that they  
11       wouldn't need any rescue medication -- that they  
12       have very well-controlled asthma, but they're using  
13       it for exercise prophylaxis and don't actually need  
14       the inhaled corticosteroid; and therefore, a  
15       cumulative dose over the course of many, many years  
16       would be an unnecessary exposure for those children  
17       to ICS?

18                   DR. PIPER: Yes. Ed Piper, AstraZeneca. I  
19       think our concern was that for a rescue  
20       preparation, we would expect that patients would  
21       want to use the product in the same way that they  
22       used their existing rescue, and the concept of

1 having a rescue inhaler like BDA that was used both  
2 in response to symptoms and prophylactically would  
3 seem to be appropriate; the concept that a patient  
4 would have to carry two different rescue inhalers,  
5 one albuterol and one BDA, seems to us to be overly  
6 complex. And as I said, looking at the pattern of  
7 use that included prophylactic use for exercise, we  
8 didn't see a concern around the amount of products  
9 being used as I described earlier.

10 So that was our perspective on the topic,  
11 but what you raised is clearly relevant and  
12 appropriate to consider in the round  
13 [indiscernible]. Thank you.

14 DR. CLOUTIER: Thank you. That's my  
15 question.

16 (Pause.)

17 DR. AU: I'm sorry. This is David Au. I  
18 dropped briefly. I just wanted to check back.

19 Dr. Hunsberger?

20 DR. HUNSMERGER: Yes. Sorry. Sally  
21 Hunsberger. I actually did have one follow-up  
22 question to my original question.

1                   The company presented, what I understand is  
2 new data, and I guess I just wondered if the FDA  
3 had any comment on that data since we didn't see a  
4 slide on it, and the numbers were just quoted. And  
5 I'm wondering if they saw it and if you have any  
6 comments on that lung function data.

7                   DR. STONE: This is --

8                   DR. PIPER: Ed Piper, AstraZeneca. We'd be  
9 very willing --

10                  DR. STONE: Oh, go ahead.

11                  DR. PIPER: -- sorry. We'd be very willing  
12 to share the data. We have a slide on it, but we  
13 don't have control of the slides. So if it was of  
14 interest to the panel and you wanted to see the  
15 slide, we'd be happy to share it with you.

16                  DR. STONE: This is Kelly Stone from the  
17 FDA. We have not seen this data, so we can't  
18 comment on it, and it certainly hasn't been a  
19 consideration in our evaluation of this program.  
20 This is new data to us.

21                  DR. AU: Thank you.

22                  DR. PIPER: Should we share the data,

1       Mr. Chair?

2           DR. AU: Sure. Why don't you go ahead and  
3 share it.

4           DR. PIPER: So I'll hand it to Dr. Church to  
5 share the data.

6           DR. CHURCH: Slide up. This data was  
7 shared, as I understand it, in the submission  
8 documents, in the modules that were submitted, and  
9 it should be in the CSR.

10           This is the lung function data where I read  
11 out the numbers. So again, this is in the  
12 adolescents at week 24, at week 12 on the top and  
13 week 24 on the bottom, with the 80/180 dose on top  
14 in the first row and the 160/180 dose in the second  
15 row. So again, as you can see, there were  
16 improvements in FEV1 with BDA 80/180 compared to  
17 albuterol. The p-value was less than 0.05 for the  
18 80/180 dose comparisons, where that was not the  
19 case for the 160/180 dose.

20           Next slide. I can also share the data in  
21 children. At week 12, an improvement of  
22 131 milliliters was observed, and at week 24,

1 183 milliliters, both of which had p-values greater  
2 than 0.05. Thank you.

3 DR. PIPER: Thank you for the opportunity,  
4 Mr. Chairman.

5 DR. AU: Yes.

6 DR. SEYMOUR: This is Dr. Seymour from the  
7 FDA. Can I make a comment on that?

8 DR. AU: Yes, please do.

9 DR. SEYMOUR: I think we would ask the  
10 committee to consider the utility or the usefulness  
11 of that spirometry data for the exacerbation  
12 endpoint and indication here. We do have a  
13 dedicated trial that looked at lung function with  
14 DENALI, so we already have some assessment of lung  
15 function from this product; so just something to  
16 consider.

17 DR. AU: Thank you.

18 Dr. Stoller?

19 DR. STOLLER: Yes. This is Jamie Stoller.  
20 With regard to recognizing the comments about the  
21 spirometry data, a question for the sponsor. As I  
22 looked quickly at the 12 to 18 data and the

1 magnitude of effect, are you surprised that the  
2 magnitude of effect was smaller for the larger dose  
3 than for the smaller dose?

4 DR. PIPER: Ed Piper, AstraZeneca. As we  
5 described before, the 80/180 and the 160/180 in  
6 adolescents is not dose ordered. That is a  
7 surprise to us. I think I gave you the reasons why  
8 we consider it to be, actually, the lower of small  
9 numbers of patients and small number of events  
10 before the lunch break. I think looking, again, at  
11 the overall data that is powerfully in support of  
12 the 160/180 dose in the overall patient population  
13 on the primary endpoint and all the secondary  
14 endpoints, I think we feel comfortable that that is  
15 the right dose to be recommending that is  
16 considered for adolescents as well. Thank you.

17 DR. AU: Thank you. No follow-up?

18 DR. STOLLER: No, just to follow up, and  
19 sort of an editorial comment, perhaps in  
20 anticipation of responding to questions later, one  
21 of the things that troubles me, in general, is the  
22 data really vacillate, sometimes favorable,

1 sometimes unfavorable. It sort of ascribes to  
2 small numbers, and the volatility of the data in  
3 small numbers is apparent. I'll stop with that, so  
4 no further comment.

5 DR. AU: Thank you, Dr. Stoller.

6 Dr. Cabana?

7 DR. CABANA: I think Dr. Stoller asked the  
8 question I wanted to ask as well, too, about the  
9 small numbers, so it's also very troubling as well.  
10 If it's the law of small numbers, it seems like you  
11 just can't pick which small group that you like.  
12 That's just a comment. Thank you.

13 DR. AU: Thank you.

14 Dr. Holguin?

15 DR. HOLGUIN: Yes. Fernando Holguin. I was  
16 just wondering for the sponsor, were both  
17 arms -- the high and lower dose in adolescents,  
18 were there similar amounts of exposure data in  
19 terms of like data points that were during the  
20 analyses? Is it possible those in lower dose, you  
21 had fewer data points exacerbation-wise or lung  
22 function treatment?

1 DR. PIPER: Ed Piper, AstraZeneca. I don't  
2 believe that's the case. The number of adolescents  
3 are similar between the treatment groups, so I  
4 don't think that there is less data, per se, by  
5 dose group. We stratified by age for the  
6 adolescents, both, hence, the equal number of  
7 patients in the adolescent cohort, so I don't think  
8 that's a factor.

9 DR. HOLQUIN: Thank you. No further  
10 questions.

11 DR. AU: Can I ask one last round for the  
12 committee at large? Are there any more clarifying  
13 questions for either the FDA or the sponsor?

14 (No response.)

15 DR. AU: If not, then I think we can move  
16 on.

17 We will now proceed with the FDA charge to  
18 the committee from Dr. Kelly Stone.

19 Oh, I'm sorry. Before we do that,  
20 Dr. Stoller, do you still have your hand up or was  
21 that from the carryover?

22 DR. STOLLER: I'm sorry. I'm neglectfully

1 not lowering my hand. No further questions.

2 DR. AU: Okay. Great I just wanted to make  
3 sure. Thank you.

4 So we will now proceed to the FDA charge to  
5 the committee from Dr. Kelly Stone.

6 **FDA Charge to the Committee - Kelly Stone**

7 DR. STONE: Thank you, Dr. Au.

8 I'd like to thank the committee and the  
9 applicant for the discussion up to this point.  
10 Just to re-emphasize, BDA is a new combination  
11 product, budesonide and albuterol. It's a new  
12 proposed indication to prevent progression to  
13 exacerbations, severe exacerbations, and it would  
14 be the first use of an ICS for reliever treatment

15 For the adolescent, and for the pediatric  
16 subgroup, in general, there is great uncertainty in  
17 terms of the effect of this product for the  
18 intended use, and the focus of the discussion  
19 really comes back to where it's been, which is how  
20 comfortable are the members of the committee in  
21 extrapolating data and what is the basis for  
22 extrapolation of that data? And we're particularly

1 interested in whether there's less uncertainty in  
2 the adolescent 12 and above group compared to the  
3 4 to less than 12 age group.

4 So the questions that we're asking the  
5 committee to discuss, again, to help inform our  
6 analysis of this development program are, one,  
7 discuss the data to support the efficacy of the  
8 fixed-dose combination for the proposed indication  
9 that's highlighted here.

10 In particular, for adolescents 12 to less  
11 than 18 and young children 4 than less than 12,  
12 discuss if extrapolation of adult data to pediatric  
13 patients is appropriate based on the available  
14 data; and if so, discuss the appropriate degree of  
15 extrapolation in these age groups. And we're  
16 particularly interested in whether full  
17 extrapolation, as would be needed here, would be  
18 appropriate, based on available data.

19 The second discussion point is to discuss  
20 the safety of BDA for the proposed indication,  
21 discussing any specific pediatric concerns. And  
22 there have been some raised in the discussions at

1 this point, but we'd like to hear if there are any  
2 additional safety considerations that would need to  
3 be considered, particularly for the younger  
4 children.

5 Then there are three voting questions that  
6 we're going to ask the committee to vote on. The  
7 first, do the data support a favorable benefit-risk  
8 assessment for use of BDA in patients greater than  
9 or equal to 18 years of age with asthma? And if  
10 not, we'd like to hear what additional data may be  
11 needed to support that indication in that age  
12 group.

13 Question 4 is a voting question, again, do  
14 the data support a favorable benefit-risk  
15 assessment for BDA in patients 12 years of age to  
16 less than 18 years of age with asthma? And if not,  
17 what additional data are needed?

18 Then finally, in the youngest age group,  
19 4 to less than 12 years of age, do the data support  
20 a favorable benefit-risk assessment for the  
21 proposed indication? And if not, what additional  
22 data would be needed?

1           We look forward to hearing discussion on the  
2 discussion questions, as well as feedback on the  
3 voting questions, and I will turn it over at this  
4 point to Dr. Au. Thank you so much.

5           **Questions to the Committee and Discussion**

6           DR. AU: Thank you, Dr. Stone.

7           The committee will now turn its attention to  
8 address the task at hand, the careful consideration  
9 of the data before the committee, as well as the  
10 public comments. We will now proceed with the  
11 questions to the committee and panel discussions.  
12 I would like to remind public observers that while  
13 this meeting is open for public observation, public  
14 attendees may not participate, except after  
15 specific request of the panel.

16           After I read each question, we will pause  
17 for any questions or comments concerning its  
18 wording, then we will open the question to  
19 discussion. We will start with question 1.

20           Discuss the data to support the efficacy of  
21 fixed-dose combination, budesonide and albuterol  
22 sulfate, metered dose inhaler, BDA, for the

1 as-needed treatment for prevention of  
2 bronchoconstriction and for the prevention of  
3 exacerbations in patients with asthma 4 years of  
4 age and older. For adolescents 12 to 18 and young  
5 children between 4 and 12, discuss if extrapolation  
6 of the adult data to pediatric subjects is  
7 appropriate, and if so, discuss the appropriate  
8 degree of extrapolation in these age groups.

9                   Are there any questions about the wording of  
10 the discussion question?

11                   (No response.)

12                   DR. AU: Okay. If there are no questions or  
13 comments concerning the wording of the question, we  
14 will now open the question to discussion.

15                   We'll start with Dr. Stoller.

16                   DR. STOLLER: Yes. Thank you, Dr. Au. This  
17 is Jamie Stoller. I have a predicate comment and  
18 then really a question for my pediatric pulmonary  
19 colleagues on the committee, on the panel. The  
20 predicate comment is, the whole issue of  
21 extrapolation is predicated on a couple of issues.  
22 One is the disease similarity, if you will, but

1 another dimension of it is the extant data,  
2 recognizing that the extant data from both TREXA  
3 and STAY don't exactly apply here, a different beta  
4 agonist, formoterol, rapid-acting but long acting,  
5 as opposed to albuterol.

6 As I read those studies, again, TREXA in  
7 ages 5 to 18, and STAY in ages 4 to 11 -- and this  
8 was alluded to earlier, I believe -- the data are  
9 sort of conflicting. At least as I read it, TREXA  
10 on the primary outcome measure, which was time to  
11 first exacerbation -- not treatment failure as was  
12 shown, but time to first exacerbation -- actually  
13 did not achieve significance in the as-needed  
14 group, and STAY in the younger patients appears to  
15 have done so.

16 So I'm interested in my pediatric pulmonary  
17 colleagues' sense of this predicate data as it  
18 applies to plausibility and extrapolation,  
19 recognizing again that extrapolation is a function.  
20 not only of disease characteristics, of endotype  
21 issues, but also of available data, albeit extended  
22 to this trial. I hope my question's clear.

1                   DR. AU: Do we have any volunteers from our  
2 pediatric colleagues?

3                   DR. CLOUTIER: This is Michelle Cloutier.

4                   Dr. Stoller, that is exactly the areas that  
5 I'd like to address, and maybe this would help. I  
6 look at the four criteria that the FDA proposed for  
7 extrapolation of data to the 4 to 12 year olds.  
8 The first is that the disease is the same in adults  
9 and pediatrics, and while there are similarities in  
10 the disease between children and adults, there are  
11 also some significant differences that were not  
12 mentioned or discussed.

13                  The first of these is that there's a male  
14 predominance in children compared to a female  
15 predominance in adults. Some of that is related to  
16 structural differences and airway dysanapsis in  
17 boys, which resolves as their airways grow later on  
18 but clearly can affect their response to a  
19 bronchodilator.

20                  The second is that the most important  
21 trigger in young children are viral respiratory  
22 tract infections, while in adults, as shown in the

1 MANDALA study, it was allergens and exercise. And  
2 the last, which relates to response to therapy,  
3 lies in that young children are more likely to have  
4 a low Th2 response in early childhood as compared  
5 to later on. So I think these differences could,  
6 in fact, speak to the disease not being the same in  
7 young children as it is in adults.

8 In terms of the second element, response to  
9 treatment is the same, I think all of the studies  
10 that have looked at the addition of an ICS -- now  
11 I'm talking about young children, again,  
12 4 to 12 -- the use of [indiscernible] has not shown  
13 to be more effective than what we're currently  
14 recommending, which is daily ICS with SABA rescue.  
15 So I'm not sure that the response -- it doesn't  
16 look like the response is the same.

17 I think the TREXA data is really important.  
18 It's one of the things they didn't mention about  
19 TREXA, is that the mean age of participants in  
20 TREXA was 10 and a half, which means they did not  
21 have very many 4 to 12 year old children. They  
22 were much more skewed to older children, which is

1 one of the reasons why the expert panel did not  
2 support that therapy in young children because of  
3 low certainty of evidence.

4 So the third is the high confidence in the  
5 evidence, and I think you point that out  
6 beautifully; it's all over the place. And I think  
7 there are very much significant knowledge gaps,  
8 particularly in children 4 to 12. And I'll leave  
9 that at that, and I'll lower my hand as well.

10 DR. AU: Thank you very much.

11 Dr. Stoller, did that response satisfy you  
12 or would you like to hear from other of our  
13 pediatric colleagues?

14 DR. STOLLER: Oh, no. That was very  
15 helpful. I invite other comments, but that was  
16 very helpful. Thank you.

17 DR. AU: Let me ask if there are any other  
18 comments to this before moving on to the next  
19 participant.

20 (No response.)

21 DR. AU: Hearing none, let me go to  
22 Ms. Oster.

1                   MS. OSTER: I just want to make the comment  
2 in support of what we just said. One comment that  
3 is jumping out at me is if it's small data, and now  
4 we're being asked then to extrapolate the data, why  
5 didn't we just get more data? I think that for the  
6 children, because we didn't hit the data size that  
7 was acceptable, it does not mean the result is we  
8 have to extrapolate the data. So that's just my  
9 comment there.

10                  DR. AU: Alright. Any comments on that?

11                  (No response.)

12                  DR. AU: Great.

13                  Dr. Kaizer?

14                  DR. KAIZER: Yes. It's Alex Kaizer from the  
15 University of Colorado, and just a few comments on  
16 some of the statistical aspects of the trial. I  
17 think it echoes a lot of what people have already  
18 raised and addressed. I think one of the  
19 challenges we have is that, as been stated, it's  
20 not like this study was designed to be powered in  
21 children or adolescents. So regardless of the  
22 outcome, we would have been underpowered to

1       probably detect a significant effect in those  
2       groups.

3           I think one of the things that makes it more  
4       difficult in this case is, as people have said, the  
5       effect estimates jump around. For those  
6       adolescents, it's unexpectedly large for the higher  
7       dose group, performs better for the low-dose group,  
8       and then for the children group, it very much looks  
9       null for the point estimate. For all of these,  
10       though, as has been mentioned, the confidence  
11       intervals are extremely wide.

12           In a perhaps more ideal situation, all of  
13       these point estimates may have appeared -- well,  
14       not significant, but aligned with the overall  
15       estimate, and we still have, though, the issue at  
16       hand that the confidence intervals would be  
17       extremely wide, potentially alluding to the chance  
18       of an effect appearing with the benefit towards  
19       just the albuterol group.

20           So I think that's one of the challenges  
21       statistically here, is that we really do need to  
22       lean into a lot of the context, scientific, and

1 clinical understanding of the disease here because  
2 the study as designed was not meant to be  
3 statistically powered to address those concerns.

4 I think, really, the only way to address  
5 that, I think that Ms. Oster was getting at, is  
6 that we would need more data to confirm the effect  
7 beyond the sample size, knowing that with small  
8 numbers like this, a single person's change in the  
9 outcome can lead to very different effect  
10 estimates, with the caveat, again, being there are  
11 large confidence intervals.

12 I think also just the use of the Bayesian  
13 information sharing approaches does help to  
14 illustrate what would have been needed to move the  
15 needle, so to speak. I personally do research on  
16 these methods to develop new statistical techniques  
17 for what is called information sharing or  
18 borrowing, and one of the things to note is that  
19 one of those criteria we use is what we call  
20 exchangeability, or is the effect estimate we're  
21 trying to pull together similar or not?

22 When we see differences in those effect

1       estimates, as we do here, we may be wary  
2       statistically to borrow too much or read too much  
3       into pooling that data together, where at one  
4       extreme we have these results now, which seemed  
5       very disparate and challenging to interpret, and at  
6       the other extreme we have the overall trial  
7       results, which suggests benefit. And if we ignored  
8       these potential differences by age, it would lead  
9       us to suggest that we should see some benefit for  
10      the overall treatment.

11           I know it doesn't clarify what we should do,  
12       but I think it helps to illustrate that we would  
13       need to borrow a significant amount of adult data,  
14       given the small sample size of both children and  
15       adolescents. But then depending on how clinically  
16       relevant we think that would be, it could be useful  
17       to extrapolate or incorporate that information in a  
18       meaningful way. Thank you.

19           DR. AU: Thank you so much.

20           Any additional comments to that?

21           (No response.)

22           DR. AU: Let me go on to Dr. May.

1 DR. MAY: Yes. I was just wondering, it  
2 seems to me -- and just following up from  
3 Dr. Kaizer -- that the data that we see for the  
4 kids are really not that supportive, or are not  
5 only inconclusive but they are all over the place  
6 because of the sample size.

7 I was wondering if there were no kids  
8 included at all, the disadvantages that would have  
9 been to not have any information with regard to  
10 safety. But even here the safety data is limited  
11 because of the limited sample size, but would we  
12 decide to go forward solely on the data of adults  
13 if we had no data for the kids whatsoever? I  
14 think, besides the safety data, this is the  
15 comparison; that the estimates are all over, and it  
16 might have been as well as not having these data at  
17 all.

18 So I'm wondering is there any precedent for  
19 having a combination drug that had no data for kids  
20 but was approved for kids, based on the adults'  
21 data and based on the fact that it was thought to  
22 be the same disease, the same process, and for the

1 same outcome? And that's my question.

2 DR. SEYMOUR: Dr. Au, this is Sally Seymour  
3 from the FDA. Would you like me to respond?

4 DR. AU: Yes, that would be useful. Thank  
5 you. I appreciate that.

6 DR. SEYMOUR: Thank you for the question,  
7 Dr. Jones. In my time here at FDA, I cannot think  
8 of any example of a product that was approved, an  
9 inhalation product, based upon adult data only to  
10 include an indication in children. Keep in mind,  
11 for extrapolation, we're generally referring to  
12 efficacy data, so even if we do a full  
13 extrapolation for a PK program for a systemic  
14 product, we generally also get safety data. So  
15 there is some data and children beyond the adult  
16 data.

17 Does that answer your question?

18 DR. AU: Just to clarify, that was Dr. May  
19 and not Dr. Jones.

20 DR. SEYMOUR: I'm sorry.

21 DR. MAY: And yes, that answers my question.  
22 No problem. Thank you.

1 DR. AU: This is David Au. I'll follow up  
2 on that. That was similar to the spirit of my  
3 question, and I don't think anyone was able to  
4 really hear it because of my previous phone, which  
5 is if we're going to rely on data from outside the  
6 trial results, why even include the patient  
7 population to begin with? It does seem like we're  
8 being asked, at least in my opinion, for a leap  
9 around what is kind of existing data in the  
10 heterogeneity of point effects that are  
11 demonstrated. So I appreciate that comment,  
12 Dr. May.

13 Are there any other other follow-up points  
14 or discussion points from Dr. May's point?

15 (No response.)

16 DR. AU: Otherwise, let's go to Dr. Jones.

17 DR. JONES: Yes. This is Bridgette Jones.  
18 I just wanted to go back and talk a little bit more  
19 about the criteria for extrapolation, especially as  
20 it relates to whether or not the disease is the  
21 same in adults and children.

22 I think most of us are aware that asthma is

1 a disease that has variable phenotypes, so I think  
2 certainly that there are differences in the  
3 prevalence of different phenotypes in adults versus  
4 kids, but I think, overall, from a treatment  
5 standpoint, if you look at the asthma national  
6 guidelines, for the most part, many of the  
7 treatments have consistently been very similar  
8 between children and adults.

9 So I don't think that we have any biological  
10 or scientific evidence that drug targets -- and  
11 affected drug targets especially for inhaled  
12 corticosteroids and short-acting beta  
13 agonists -- would be that dissimilar between  
14 children and adults alike.

15 So I think based on what we know and we  
16 observed over the decades, you would expect that  
17 they would behave similarly, targeting smooth  
18 muscle relaxation and inflammation in children, as  
19 well as in adults, even though the underlying  
20 etiology that's causing the inflammation may not be  
21 the same.

22 So I just wanted to discuss that a little

1       bit more because I think when we're thinking about  
2       the disease itself being the same versus the  
3       phenotype within that disease, those are two  
4       different discussions. Thank you.

5                    DR. AU: Great.

6                    I might ask Dr. Cloutier to comment because  
7        she had pointed out some of the differences in  
8        children and adults, as well as maybe a specific  
9        comment around that the guidelines did not endorse  
10      the adoption of therapy for younger kids that were  
11      noted in TREXA study, I believe.

12                  DR. CLOUTIER: Thank you, Dr. Au. This is  
13      Michelle Cloutier.

14                  I was the the chair of this expert panel  
15      that made the 2020 Focused Update recommendation.  
16      I think that the committee, in looking at these  
17      updates -- and remember it was a Focused Update;  
18      there were very specific questions, but there were  
19      specific questions related to intermittent ICS.

20                  Now, the results from MANDALA were not  
21      available, but the studies that were used for this  
22      specific question were the TREXA study and

1 Turpeinen study. The questions related to growth  
2 included the Camargos study from I think 2000, as  
3 well as one other study; Collette [ph] I think is  
4 the name. But the whole idea behind TREXA really  
5 was to determine the added value of ICS plus  
6 albuterol in young children with mild asthma in  
7 terms of exacerbations, and it clearly demonstrated  
8 two things. One was that ICS plus albuterol did  
9 not have added value to daily ICS, and it did not  
10 reach statistical significance when compared to  
11 albuterol alone, and that is the reason why the  
12 NAEPP guidelines does not recommend this therapy in  
13 young children.

14 Now, there is a big difference between the  
15 NAEPP and GINA, and I think one of the ways that's  
16 best able to explain the reason for that is the  
17 NAEPP expert panel made a conscious effort not to  
18 extrapolate data across ages or asthma severity and  
19 to limit expert opinion. GINA uses evidence when  
20 evidence is available, but also uses expert  
21 opinion -- that's informed expert opinion -- and  
22 does extrapolate. That's the difference. That's

1       one of the major reasons for the difference in this  
2       particular recommendation between the two  
3       documents.

4               Does that answer the question and help?

5       DR. AU: I think that adds robust to the  
6       discussion, and I want to thank you for that  
7       clarification.

8               Do others have comments or on this point?

9               (No response.)

10       DR. AU: Great. Let's move on to Dr. Tracy.

11       Dr. Tracy?

12       DR. TRACY: Thank you. Dr. Tracy here.

13       This is a little bit of a follow-on. I find  
14       myself in this incredible conundrum with data  
15       that's all over the place -- in all likelihood  
16       because of the small sample size -- so we're forced  
17       to make a decision that we almost can't make, or in  
18       this case a non-decision, and we can't look at  
19       these really three fairly different groups of  
20       adults, the adolescents, and the kids. Going back  
21       to the GINA versus NIH guidelines stuff, I think  
22       expert opinion does play a role here, and I think

1 this is a little bit of a contrarian, but maybe we  
2 have to figure out some way to kind of split these  
3 out some way.

4 My general sense, as I mentioned in my prior  
5 comments, if you take it in their singular form,  
6 these are not new drugs. Do they affect everybody?  
7 Well, what we're doing here is we're kind of  
8 comparing populations, where in reality most of us  
9 who do this for a living, it's individuals that we  
10 take care of. There are an awful lot of  
11 adolescents that would very much benefit from a  
12 product like this, and just because the powering  
13 was not sufficient to answer the question, I'm not  
14 sure it's doing our patients a service by putting  
15 the kibosh on this.

16 On the younger kids, there's obviously less  
17 data for that, and obviously it's probably less  
18 clinical experience. And as far as the safety  
19 goes, which will be in the next question, I think  
20 that those things are fairly well laid out, and  
21 that's a little bit different. But I think we're  
22 getting kind of caught up here in the weeds a

1           little bit, I think, but it's just my thoughts.

2           Thank you.

3           DR. AU: Great.

4           Any additional comments from the panel  
5           members?

6           (No response.)

7           DR. AU: Dr. Greenberger?

8           DR. GREENBERGER: Thank you. I want to  
9           just re-emphasize how important the unmet need is  
10           here, however, I'm going to say, clearly, that  
11           there are differences in treatment responses  
12           between adolescents and children versus adults, and  
13           the literature has enough examples of that,  
14           including quintupling fluticasone, as people know.

15           So I think it might be that the therapeutic  
16           differences are of such importance with this  
17           disease, asthma, and heterogeneity responses are  
18           significant that extrapolation of the adult data to  
19           children is not appropriate. That's my comment.

20           DR. AU: Any follow-up?

21           Dr. Dykewicz, can I give you the opportunity  
22           to speak? I know you raised your hand and lowered

1       your hand, but I just wanted to give you the  
2       opportunity.

3                   DR. DYKEWICZ: Thank you.

4                   Well, one of the issues that we're  
5       deliberating about --

6                   DR. AU: I'm sorry to interrupt you. Could  
7       you state your name for the record, please?

8                   DR. DYKEWICZ: I'm sorry. Mark Dykewicz.

9                   One of the considerations that Dr. Cloutier  
10       had raised was, of course, the distinctions in  
11       terms of reasons for exacerbations in the pediatric  
12       group and the adult group. And I'm thinking in a  
13       larger perspective here about the importance of  
14       that, and in terms of adults, in the particular  
15       MANDALA study, was there any data that was looking  
16       at what the cause of the exacerbations that did  
17       occur were? Thank you.

18                   DR. AU: Any comment on that? My  
19       understanding, at least -- and I should be  
20       corrected if I'm incorrect -- is that at least in  
21       the older age groups, it seemed to be more kind of  
22       allergy or kind of driven, which is the children,

1 but I would welcome comment otherwise.

2 (No response.)

3 DR. AU: Borrowing any other comments, let  
4 me move to Dr. Holguin.

5 DR. HOLGUIN: Yes. Fernando Holguin,  
6 University of Colorado. Thank you, Mr. Chairman.  
7 My question was following -- or comment was  
8 following -- Michelle Cloutier.

9 When you say that there was no benefit from  
10 intermittent ICS bronchodilator therapy in young  
11 children, were you considering the fact that maybe  
12 they're a lot less exposed when compared to daily  
13 ICS? Wouldn't that be a benefit in itself, given  
14 this equipoise in that regard? Thank you.

15 DR. AU: Let me see. That was more directed  
16 to Dr. Cloutier; is that correct?

17 DR. HOLGUIN: Yes.

18 DR. AU: Let me see if I can summarize. Was  
19 that more a question around the addition of ICS --

20 DR. HOLGUIN: When we say that there's no  
21 benefit in that particular population and we are  
22 using daily ICS as a comparison, the fact that

1 you're treating kids intermittently, wouldn't that  
2 be a benefit not exposing them to daily ICS for  
3 perhaps a comparable level of controller  
4 effectiveness?

5 (Pause.)

6 DR. CLOUTIER: I'm sorry, Dr. Au. This is  
7 Michelle Cloutier. I did not understand your  
8 question. I'm sorry.

9 DR. HOLGUIN: You were saying earlier that  
10 when looking at the TREXA data, the guidance  
11 decided not to recommend intermittent therapy in  
12 the younger kids. And I was wondering whether  
13 comparing to daily ICS that was factoring total ICS  
14 corticosteroid exposure and its effect on  
15 growth -- because it seems to me that if you treat  
16 these kids with intermittent dose, perhaps you save  
17 them from being exposed to daily ICS.

18 DR. CLOUTIER: Yes. That's a very good  
19 question, and it's an important one. The problem  
20 is this. The data on growth, although I think all  
21 of us believe that there is a clear effect of ICS  
22 on growth, the CAMP study demonstrated that the

1 effect on growth occurs within 3 months and appears  
2 to just last. It doesn't increase, and it appears  
3 to be about a sonometer, a little bit more in the  
4 boys, a little bit less in the girls, but around a  
5 sonometer. In TREXA, there was a concern about  
6 growth suppression in the children who were treated  
7 with daily therapy, but that was one of the  
8 risk-benefits, a known risk-benefit of ICS, which  
9 is relative to growth.

10 There are, however, additional studies,  
11 which don't always show that growth effect, so  
12 there is some -- that was, I think, Carmargos'  
13 study that I mentioned, as well as I think  
14 Collette's study.

15 So I think this is one of these things in  
16 terms of guidelines, where with an individual  
17 patient, you would discuss that, and you shared  
18 decision making to determine the therapy. But  
19 we're talking about a population base, and the  
20 difference in growth, Turpeinen's study did not  
21 show that difference, but showed a marked  
22 difference in exacerbation in children treated

1 daily.

2 So there is some there's some inconsistency,  
3 and it may be related to the actual ICS, that maybe  
4 they're specific for that, and it may also be  
5 specific to actual patient adherence to therapy and  
6 what they actually do use. That's the best I can  
7 do to answer that.

8 DR. HOLGUIN: Thank you, Dr. Cloutier, I  
9 appreciate it.

10 DR. AU: Can I move on to Dr. Kaizer?

11 DR. KAIZER: Alex Kaizer from the University  
12 of Colorado. I guess a question, and I think it's  
13 directed more towards the FDA, potentially. But it  
14 sounds like the trial originally was designed to  
15 not go down as far as 4 years of age.

16 So I'm just wondering in the case of  
17 12 to 18 year old adolescents who weren't  
18 prioritized through power as a comparison, in the  
19 university where we're doing the study, and it was  
20 designed to be 12-plus, let's say, and we see the  
21 same effect overall that we saw here of a benefit,  
22 is it practice, or historic work at the FDA, that

1 you take that overall headline effect that shows  
2 potentially benefit for the high dose, but then  
3 it's underpowered for that adolescent age range,  
4 would you still use that overall days and  
5 essentially extrapolate within that 12 to 17 year  
6 old range?

7                   Or if the study was designed for that  
8 overall effect estimate, do you try to use that for  
9 an approval to say even though the evidence is  
10 underpowered at 12 to 17, historically that's been  
11 still ignored or noted in an approval of a drug?

12                  DR. SEYMOUR: Hi. This is Dr. Seymour from  
13 FDA. Historically, for asthma trials, we've  
14 enrolled patients 12 years of age and older, and  
15 it's generally never powered for the 12 to 18 year  
16 age group to stand on its own and be statistically  
17 significant. So we never ignore that data, but we  
18 look at that data and generally have extrapolated  
19 if results are consistent and if there's no  
20 concerning data in that 12 to 18 year age range.

21                  There's only one instance I can think of  
22 where we had conflicting results in the 12 to 18

1 year age range, where they actually had higher risk  
2 of exacerbations and hospitalizations, where we  
3 made a decision that there was enough concerning  
4 data in the 12 to 18 year age range, even though it  
5 probably wasn't powered for efficacy, but there is  
6 a safety concern, so we didn't approve down to 12.

7 But generally speaking, the 12 to 18 year  
8 age range is included with the adults, and we look  
9 at it, make sure it's consistent, supportive, and  
10 we'll approve for the full age range unless there's  
11 some reason not to.

12 DR. KAIZER: Thank you. That was very, very  
13 helpful.

14 DR. AU: And I apologize for mispronouncing  
15 your name, Dr. Kaizer.

16 Ms. Oster?

17 MS. OSTER: I'm good.

18 DR. AU: Okay. Great.

19 MS. OSTER: No, I'm fine.

20 DR. AU: Okay. Thank you.

21 How about Dr. Stoller?

22 DR. STOLLER: Yes. This is Jamie Stoller,

1 and I want to frame my comments here. As an  
2 adult-one doctor, I had to read TREXA for the sake  
3 of gearing up here, and I want to make a comment  
4 and make sure it jives with my pediatric pulmonary  
5 colleagues.

6 In response to Dr. Holguin's question, as I  
7 understood his comment, there is clear advantage to  
8 sparing steroid dose by using it intermittently,  
9 but when I look at TREXA, in table 2 in  
10 particular -- I'm looking at it in front of  
11 me -- the rescue approach of beclomethasone and  
12 albuterol actually failed to achieve significance  
13 for the primary outcome measure, which was time to  
14 first exacerbation.

15 We were shown the data on treatment failure,  
16 which was not the primary outcome measure in the  
17 study, as I recall. So while I agree with you that  
18 would be a benefit -- and again, extrapolation is  
19 not based on predicate data from other studies -- I  
20 understand that, but the whole plausibility of  
21 extrapolation has to do with both the disease  
22 entity, as well as ambient data. And the only data

1 that informs beclomethasone and albuterol, as far  
2 as I see, the most compelling data comes from  
3 TREXA, which again I invite dissension from my  
4 colleagues who may know this data better than I.  
5 But as I read it, it looks like it's not consistent  
6 with rescue approach alone in the regimen that's  
7 being used here, albeit in two different inhalers,  
8 not one.

9                   So happy to be corrected on that, but I  
10 think it's a nuanced point, which at least in my  
11 mind has a lot to do with extrapolability in this  
12 context, and I'll stop there.

13                   DR. AU: Let me invite dissent since  
14 Dr. Stoller opened that opportunity.

15                   DR. HOLGUIN: Fernando Holguin, University  
16 of Colorado.

17                   Thank you, Dr. Stoller. I think although it  
18 being a secondary outcome, treatment failure is  
19 actually quite important because a composite  
20 outcome, that probably included lung function,  
21 symptoms, and exacerbations as well, so I won't  
22 dismiss it as less significant.

1 DR. AU: Right.

2 Any additional comments? I really do enjoy  
3 this robust discussion.

4 (No response.)

5 DR. AU: Sorry. Additional comments?

6 Dr. Holguin, you had your hand up?

7 (No response.)

8 DR. AU: Dr. Holguin?

9 DR. HOLGUIN: No, I don't have my hand up.

10 DR. AU: Did anyone else have any other  
11 comments? I'm going to try my best to summarize  
12 this very robust discussion, and I'm going to beg  
13 the committee's forgiveness if I miss anything, but  
14 I do invite additional correction or nuanced  
15 discussion.

16 So let me try to summarize. I'm going to  
17 start with Dr. Tracy. He says that we are in a  
18 conundrum and that there is a population of  
19 patients that would potentially benefit from this  
20 type of approach, and this was also echoed by  
21 others in the committee, and that we are looking at  
22 population averages as opposed to an individual

1 patient in front of us.

2                   At the same time, though, as has been  
3 pointed out by multiple members of the committee,  
4 there's a large degree of heterogeneity in the  
5 treatment effects that we have noted between the  
6 adolescents, as well as the children 4 to 12 years  
7 old, and that we're being asked to make  
8 extrapolations because the data itself is so  
9 heterogeneous and actually includes  
10 unity -- includes 1 -- in the point estimates.

11                  So then we're asked to make decisions around  
12 are the diseases similar enough among children and  
13 adolescents, similar enough to adults, to make that  
14 extrapolation in ways that are robust and will  
15 serve the public interest?

16                  So we talked a lot about the similarities  
17 between adult asthma and pediatric asthma or both  
18 the adolescent and children together, really mostly  
19 driving it around how we approach treatment, and  
20 that the phenotypes are different, and that there  
21 are sex differences, there are trigger differences,  
22 there are differences in Th2 response, but that the

1 overall effect still unifies around a treatment  
2 approach.

3 That said, the external data that we're  
4 asked to consider in support of this application  
5 has some issues with whether or not that data  
6 actually supports the primary outcome that was  
7 being sought in that trial.

8 So the sum is that I think we're faced with  
9 very small numbers of patients in both the children  
10 and the adolescents. The data would suggest that  
11 the reliability of the estimate has to do a lot  
12 with, actually, the number of subjects, and that  
13 the question around extrapolation is really kind of  
14 predicated on the similarities between asthma as a  
15 phenotype, or as multiple phenotypes, versus the  
16 convergence on similar treatment approaches.

17 Let me pause there and ask whether or not  
18 I've done the discussion some justice and whether  
19 or not anyone else has comments to it.

20 (No response.)

21 DR. AU: Okay. If there are no more  
22 discussions or comments on the summary, I think we

1 can move on to question 2.

2 MS. OSTER: Randi Oster has her hand up.

3 DR. AU: Oh, I'm sorry. I did not see that.

4 MS. OSTER: Okay. That's fine.

5 Yes. I just wanted to thank you for your  
6 summary, but I do want to emphasize that we are  
7 faced with small numbers, and we are faced with the  
8 reliability of the estimates that we've given, and  
9 we are asked to extrapolate the data. But at the  
10 end, if we are wrong, because the data is small,  
11 because the assumptions are off, it is the children  
12 and their growth that has to live with that.

13 It hasn't been made clear to me why we can't  
14 just expect larger sample sizes, and so why this is  
15 an important issue, and I can feel for that mother  
16 who has, as they said earlier, that point in time  
17 that you have to solve it, they need to understand  
18 the longer range, what this can be doing.

19 That is our job, to put drugs out there that  
20 when they have the label, as what Dr. Piper had  
21 said, it will just be on the labeling. At the  
22 point in time of having an asthma attack, no one's

1 paying attention, so it's our job to make sure we  
2 have the data. And I just want to make sure that's  
3 added into your summary. Thank you.

4 DR. AU: Great. Thank you so much. I  
5 appreciate that.

6 Any other additions?

7 (No response.)

8 DR. AU: Great.

9 I think we can move on to question 2.

10 Question 2, discuss the safety data for BDA  
11 for the proposed indication. Discuss any specific  
12 pediatric safety concerns.

13 Let me first pause and ask if there are any  
14 questions around or comments around the concern of  
15 how the question is worded?

16 Dr. Cabana, do you have a question or  
17 concern about the wording?

18 DR. CABANA: Sure. In terms of safety  
19 concerns, I guess to clarify it long term or short  
20 term, we only have short-term data. But are we  
21 also supposed to consider long-term safety concerns  
22 as well, too?

1 DR. AU: Can I ask the FDA for their comment  
2 on that?

3 DR. STONE: Yes. This is Kelly Stone, FDA.  
4 I think we're asking for both. If this were  
5 approved, it would be used long-term. And we have  
6 data, some data, from short-term studies, but we  
7 also want to understand from the committee how you  
8 would anticipate this would be used and if there  
9 are any long-term safety concerns from the new  
10 indication.

11 Does that answer your question, Dr. Cabana?

12 DR. CABANA: Yes. Thanks, Dr. Stone. I  
13 appreciate the clarity. Thank you.

14 DR. AU: Great.

15 Any other questions or comments about the  
16 wording of the question?

17 (No response.)

18 DR. AU: If there are no more questions or  
19 comments concerning the wording of the question,  
20 we'll now open the question to discussion.

21 We'll start with Dr. Evans.

22 DR. EVANS: Thank you. I'm sorry. I was

1       unmuting. I think on balance, the short-term  
2       safety data appears to be quite robust in all the  
3       areas that were tested. We really see very little  
4       in terms of a safety adverse events signal, and  
5       very reassuring is that both components of this  
6       combination have long-term data available, and I  
7       find that largely reassuring.

8               The only concern that I'm really left with  
9       is whether we're going to encounter kids who have  
10       unnecessary exposures to ICS, and we've talked  
11       about this a little bit already today in terms of  
12       the patients who maybe have low Th2 phenotypes.  
13       The particular concern I have is the notion of an  
14       indication where people will be using this  
15       prophylactically for exercise-induced bronchospasm,  
16       whereas normally they might have only used  
17       bronchodilator.

18               So that's the principal safety concern I  
19       raise here on the backdrop of a really favorable  
20       safety profile, at least short term, in the  
21       presented studies. And that's it. Thanks.

22               DR. AU: Thank you.

1                   Any additional no discussion on that point?

2                   Ms. Oster?

3                   MS. OSTER: Yes. This is Randi Oster, the  
4 consumer representative. The two safety concerns  
5 that I want to discuss or have addressed, the first  
6 one is bone density. It's not clear to me that we  
7 understand the long-term issues of that.

8                   The second is, in the MANDALA study, from  
9 the adolescents from 12 to 18, there was only one  
10 person that was identified with a severe adverse  
11 event, and that was anxiety and depressive  
12 disorder. In the sample size of 34, if this goes  
13 much larger into the community, how does that ramp  
14 up, and then what do we do about that, and have we  
15 addressed that adequately with this small sample  
16 size?

17                  DR. AU: Does the panel have any comments on  
18 that?

19                  (No response.)

20                  DR. AU: This is David Au. I'll comment.

21                  I think it's the same challenge that we have  
22 around the efficacy data, which is that the low

1       numbers do not allow us to really talk with  
2       confidence about whether or not there is a high  
3       degree of certainty.

4               I do you agree with Dr. Evans that as  
5       individual components, we have had a long history  
6       of these two compounds, but the combination and how  
7       they might use, and how they might accumulate, I  
8       don't think it's fully known. But I'd welcome  
9       comments from others along that line.

10               (No response.)

11               DR. AU: Otherwise, I'm happy to move on.

12               Let's go to Dr. Jones.

13               DR. JONES: This is Bridgette Jones. Yes, I  
14       think, as was previously mentioned, the short-term  
15       safety data I think is reassuring, but the real  
16       question is [inaudible - audio gap] -- exposure and  
17       how it will be actually used in the real world.  
18       The overall dosing regimen and proposed indication  
19       is pretty broad. It's indicated for asthma or  
20       prevention of bronchoconstriction.

21               So when I think about prevention of  
22       bronchoconstriction, I think specifically about

1 children using it prior to exercise and exertion.  
2 And I agree with others in being concerned about  
3 children being exposed to inhaled corticosteroids  
4 at times that they really don't need to have that  
5 as a treatment. I do think, certainly, there would  
6 be need for long-term safety follow-up, but just  
7 the broadness of the dosing regimen and the  
8 indication does give me some concern about the  
9 long-term exposure.

10 DR. AU: No additional comments?

11 Dr. Greenberger?

12 DR. GREENBERGER: Thank you. This is to  
13 follow-up on Randi's question about anxiety.

14 Undertreated asthma --

15 DR. AU: I'm sorry to interrupt you. Could  
16 you please state your name for the record? I'm  
17 sorry about that.

18 DR. GREENBERGER: Paul Greenberger.

19 DR. AU: Thank you.

20 DR. GREENBERGER: Can you hear me?

21 DR. AU: Yes. Sorry about that.

22 DR. GREENBERGER: Undertreated asthma causes

1 burden on families, and certainly children and  
2 adolescents. The lack of a quick fix or a good  
3 product to intervene to control and delay the time  
4 of the exacerbation or prevent exacerbations is  
5 extremely important. That in itself causes  
6 anxiety. I look at the numbers of anxiety  
7 identified here. That needs to be compared with  
8 probably the alternative, which is not some  
9 investigational product like treatment, for  
10 example. So I'm not concerned about that number in  
11 itself.

12 DR. AU: Dr. Kim?

13 DR. E. KIM: Hi. Edwin Kim, University of  
14 North Carolina. I guess I have more of a comment  
15 than a question.

16 It's been brought up a few times these are  
17 two medications that are well known, and we  
18 understand the risk of them. I think one piece  
19 that's reassuring to me is although it's short-term  
20 data, it doesn't seem that there's any indication  
21 of new adverse events that we did not predict or  
22 did not know. So in some ways, I do think that we

1 can and should give some level of credit to the  
2 prescribers who have been using these medications  
3 for long periods of time, and have, I think, some  
4 sense of how to manage it.

5 Again, some guidelines around, I think, the  
6 pretreatment, as it's been discussed, would seem to  
7 be very important to manage ICS cumulative dose,  
8 but for me, at least, not seeing or having any  
9 indication of some unexpected or some new adverse  
10 event from the combination is reassuring.

11 DR. AU: Great. Thank you.

12 Dr. Schwartzott?

13 MS. SCHWARTZOTT: This is Jennifer  
14 Schwartzott, and I'm the patient representative.  
15 I'd like to give the patient perspective.

16 As a lifelong patient, I understand the  
17 unmet need and the limitations of albuterol on its  
18 own. I was uncontrolled for years and years, and  
19 it's a very very scary situation, especially when  
20 you're a child. I go back all the way to I can't  
21 remember when.

22 Personally, I've learned how not to breathe.

1 As an adult, I sometimes couldn't afford the  
2 multiple inhalers, and even recently have had to  
3 fight with my insurance company to cover my  
4 Xopenex, Dulera, and the nebulizer treatments. And  
5 the meds don't always help anyway, so you just  
6 learn how to deal without some of these things.

7 I do believe we need this in the arsenal as  
8 an option for many people. I do understand that  
9 this drug is something that will change lives, but  
10 I also understand the concern that the data is all  
11 over the place and that the small data groups are  
12 concerning. But I am leaning towards striving to  
13 move forward with the adult benefits outweighing  
14 the risk. Adults have informed consent and can  
15 decide if this is an option for them or if they  
16 want to go another route.

17 The adolescent group, I'm having some  
18 problems making a decision. There is a lack of  
19 data, but the benefits very possibly outweigh the  
20 risks. I'm not an expert to understand all the  
21 data, but as an adult with loved ones with asthma,  
22 I feel that with medical and parental supervision

1 and future follow-up with the FDA, it is worthwhile  
2 and safe to proceed with the treatment for the  
3 adolescents.

4 As for the pediatric group, I don't think  
5 there's enough data. We do not have enough  
6 information to make an informed decision to approve  
7 it, and this age group is too much at risk. More  
8 data is needed to prove the safety, and as much as  
9 I feel for the children, and the doctors, and the  
10 parents, I just don't feel we can move forward with  
11 that group, although I think further study is  
12 warranted. That's what I had to say.

13 DR. AU: Thank you, Ms. Schwartzott.

14 Dr. Holguin?

15 DR. HOLGUIN: Yes. Fernando Holguin. I  
16 know there are some concerns about long-term ICS  
17 exposure, and to highlight the MANDALA study, the  
18 pattern of utilization in kids was similar to  
19 adults, and the majority of kids used -- I think  
20 the average was 2 inhalations per day. In fact, I  
21 think 30 to 40 percent did not use at all inhalers,  
22 so I'm not that concerned about long-term ICS

1 accumulation with this approach..

2 DR. AU: Great.

3 Are there any other points that people would  
4 like to make?

5 (No response.)

6 DR. AU: Let me see if I can summarize this  
7 discussion.

8 The question that we are asked is really to  
9 discuss the safety data for BDA for the proposed  
10 indication and discuss any specific pediatric  
11 safety concerns, and I think most of our discussion  
12 focused on that. I think the discussion focused  
13 both on short-term as well as long-term safety  
14 concerns.

15 Overall, I would say that I think I heard  
16 consensus that these are two known substances that  
17 have been used separately for a long period of  
18 time, and that there was general relief that there  
19 was no new adverse events or unexpected adverse  
20 events that would occur; that there were issues  
21 specifically around long-term bone density, as well  
22 as the one SAE that occurred due to anxiety and

1 depression, although it was pointed out that the  
2 other treatment of asthma also produces a fair  
3 amount of anxiety and concern both for the patient,  
4 as well as the family.

5 I think there was also consensus around the  
6 desire not to expose children to excessive amounts  
7 of inhaled corticosteroids, although the data from  
8 the trial would suggest that the average dose would  
9 not be all that different or at least some of the  
10 panel members did not have concern around excessive  
11 doses of inhaled corticosteroids, at least over the  
12 short run.

13 I do think that there was also consensus  
14 around that the number of -- and this is really a  
15 conversation focused more on adolescents and  
16 children, which is that the numbers were really  
17 inadequate to determine whether or not there was  
18 any true safety signal just because the event rate  
19 was relatively low, and the numbers in the overall  
20 population of those two groups were small.

21 So let me pause and ask if there are any  
22 additional questions.

1                   Dr. Evans, your hand is up, and I don't know  
2 if you wanted to add something before this or  
3 whether or not it was just errant.

4                   DR. EVANS: Sorry about that.

5                   DR. AU: Okay. Great.

6                   Can I ask for the committee to comment on my  
7 summary? Did I miss anything of substance?

8                   (No response.)

9                   DR. AU: Great.

10                  If there's no further discussion on this  
11 question, we will now take a quick 15-minute break;  
12 about a 15-minute break. How about that?

13                  Panel members, please remember that there  
14 should be no chatting or discussion of the meeting  
15 topics with other panel members during the break.  
16 Why don't we reconvene at 3:10 Eastern Time? So a  
17 little bit more than 15 minutes from now. Thank  
18 you, all. It's been a great discussion so far.

19                  (Whereupon, at 2:52 p.m., a recess was  
20 taken.)

21                  DR. AU: Welcome back, everyone.

22                  We will now move on to the next question,

1       which is a voting question. Takyiah Stevenson will  
2       provide the instructions for the voting.

3                   DR. STEVENSON: Questions 3 through 5 are  
4       voting questions. Voting members will use the  
5       Adobe Connect platform to submit their votes for  
6       this meeting. After the chairperson has read the  
7       voting question into the record and all questions  
8       and discussion regarding the wording of the vote  
9       question are complete, the chairperson will  
10      announce that voting will begin.

11                  If you are a voting member, you will be  
12      moved to a breakout room. A new display will  
13      appear where you can submit your vote. There will  
14      be no discussion in the breakout room. You should  
15      select the radio button that is the round circular  
16      button in the window that corresponds to your vote,  
17      yes, no, or abstain. You should not leave the "no  
18      vote" choice selected. Please note that you do not  
19      need to submit or send your vote. Again, you need  
20      only to select the radio button that corresponds to  
21      your vote. You will have the opportunity to change  
22      your vote until the vote is announced as closed.

1       Once all voting members have selected their vote, I  
2       will announce that the vote is closed.

3               Next, the vote results will be displayed on  
4       the screen. I will read the vote results from the  
5       screen into the record. Thereafter, the  
6       chairperson will go down the roster and each voting  
7       member will state their name and their vote into  
8       the record. You can also state the reason why you  
9       voted as you did, if you want to, however, you  
10       should also address any subparts of the voting  
11       question, if any.

12               Are there any questions about the voting  
13       process before we begin?

14               (No response.)

15               DR. AU: Let me read question number 3,  
16       which is a voting question.

17               Do the data support a favorable benefit-risk  
18       assessment for use of BDA in patients equal to or  
19       greater than 18 years of age with asthma? If not,  
20       what additional data are needed?

21               Are there any issues or questions about the  
22       wording of the voting question?

1 (No response.)

2 DR. AU: If there are no questions or  
3 comments concerning the wording of the question, we  
4 will now begin voting on question 3.

5 DR. STEVENSON: We will not move voting  
6 members to the voting breakout room to vote only.  
7 There will be no discussion in the voting breakout  
8 room.

9 (Voting.)

10 DR. STEVENSON: Voting has closed and is now  
11 complete. Once the vote results display, I will  
12 read the vote results into the record.

13 (Pause.)

14 DR. STEVENSON: The vote results are  
15 displayed. I will read the vote totals into the  
16 record. The chairperson will go down the list and  
17 each voting member will state their name and their  
18 vote into the record. You can also state the  
19 reason why you voted as you did, if you want to.  
20 However you should also address any subparts of the  
21 voting question, if any.

22 There are 16 yes, 1 no, zero abstentions.

1 DR. AU: Thank you.

2 We will now go down the list and have  
3 everyone who voted state their name and vote into  
4 the record. You may provide justification of your  
5 vote, if you wish to.

6 We'll start with Alex Kaizer.

7 DR. KAIZER: Alex Kaizer, yes.

8 DR. AU: Dr. Jones?

9 DR. JONES: Bridgette Jones. I voted yes.

10 DR. AU: David Au. I voted yes.

11 Dr. Kim?

12 DR. E. KIM: Edwin Kim, University of North  
13 Carolina. Yes.

14 DR. AU: Dr. Holguin?

15 DR. HOLGUIN: Fernando Holguin voted yes.

16 DR. AU: Dr. Stoller?

17 DR. STOLLER: Jamie Stoller. I voted yes.

18 DR. AU: Dr. Tracy?

19 DR. TRACY: Dr. James Tracy. I voted yes.

20 DR. AU: Ms. Schwartzott?

21 MS. SCHWARTZOTT: Jennifer Schwartzott. I  
22 voted yes.

1 DR. AU: Dr. Dykewicz?

2 DR. DYKEWICZ: Mark Dykewicz, yes.

3 DR. AU: Dr. Cataletto?

4 DR. CATALETTTO: Mary Cataletto. I voted  
5 yes.

6 DR. AU: Dr. Cabana?

7 DR. CABANA: Michael Cabana. I voted yes.

8 DR. AU: Dr. Cloutier?

9 DR. CLOUTIER: Michelle Cloutier. I vote  
10 yes.

11 DR. AU: Dr. Greenberger?

12 DR. GREENBERGER: Paul Greenberger. I voted  
13 yes.

14 DR. AU: Ms. Oster?

15 MS. OSTER: This is Randi Oster, consumer  
16 representative. I voted no. I voted no for the  
17 reason that I wanted to emphasize the need for  
18 analysis of triggers, which was not included in the  
19 study, as Dr. Piper talked about, as well as at the  
20 age of 18, there is still growth for young men  
21 especially, and that the growth, there was no  
22 formal growth study.

1 DR. AU: Thank you.

2 Dr. Hunsberger?

3 DR. HUNSMERGER: Yes. Sally Hunsberger. I  
4 voted yes. I thought the efficacy data were strong  
5 and there are no safety signals, so for this  
6 population, I voted yes.

7 DR. AU: Dr. Evans?

8 DR. EVANS: Scott Evans. I voted yes for  
9 the reasons just stated by Dr. Hunsberger.

10 DR. AU: And Dr. May?

11 DR. MAY: Susanne May. I voted yes. Ditto  
12 with regards to the reasons as Dr. Hunsberger.

13 DR. AU: Thank you very much, and I  
14 apologize for my coughing bit.

15 The consensus, by a large majority, was  
16 favorable, mainly because I think in this older age  
17 group, there was a robust efficacy signal, and  
18 that, overall, there were minimal safety concerns;  
19 although to acknowledge Ms. Oster and her dissent  
20 around safety signal for growing young men, as well  
21 as triggering events. Thank you.

22 We will now move on to question number 4,

1 also a voting question.

2                   Do the data support a favorable benefit-risk  
3 assessment for use of BDA in patients greater than  
4 the age of 12, greater than or equal to 12 to less  
5 than 18 years of age with asthma? If not, what  
6 additional data are needed?

7                   Are there any issues or questions about the  
8 wording of the voting question?

9                   DR. CLOUTIER: This is Michelle Cloutier.  
10                  Is this asthma of all severities or is it specific?

11                  DR. AU: Can I ask the FDA to clarify,  
12 please?

13                  DR. STONE: Yes. This is Kelly Stone, FDA.  
14                  The indication doesn't specify severity, so it's  
15                  without distinction of severity. It's in line with  
16                  the proposed indication. After the vote, if there  
17                  are concerns about severity, comments can be made  
18                  to clarify your vote.

19                  DR. AU: Great.

20                  If there are no more questions or comments  
21 concerning the wording of the question, we'll now  
22 begin voting on question number 4.

1 DR. STEVENSON: We will now move voting  
2 members to the voting breakout room to vote only.  
3 There will be no discussion in the voting breakout  
4 room.

5 (Voting.)

6 DR. STEVENSON: Voting has closed and is now  
7 complete. Once the vote results display, I will  
8 read the vote results into the record.

9 (Pause.)

10 DR. STEVENSON: The vote results are  
11 displayed. I will read the vote totals into the  
12 record. The chairperson will go down a list, and  
13 each voting member will state their name and their  
14 vote into the record. You can also state the  
15 reason why you voted as you did, if you want to,  
16 however, you should also address any subparts of  
17 the voting question, if any.

18 There are 8 yeses, 9 noes, zero abstentions.

19 DR. AU: Thank you.

20 I will now go down the list and have  
21 everyone who voted state their name and vote into  
22 the record. You may also provide justification of

1 your vote, if you wish to.

2 We'll start with Dr. Kaizer.

3 DR. KAIZER: Alex Kaizer, and I voted no.

4 The reason I voted no was that there was enough  
5 heterogeneity in the data presented, and  
6 potentially with past studies, that made it  
7 challenging to be highly confident that we could  
8 extrapolate these results. I think, essentially,  
9 what would help drive confidence in extrapolation  
10 is just more data or more observations to further  
11 identify that the effect sizes aligned with the  
12 adult population, as we would hypothesize, and  
13 being more confident that, potentially, long-term  
14 safety outcomes could be followed out further.

15 DR. AU: Dr. Jones?

16 DR. JONES: This is Bridgette Jones, and I  
17 said yes. I voted yes that there is a favorable  
18 benefit-risk assessment due to the reassuring  
19 short-term safety data that was presented in the  
20 study, and no major safety signals were identified.  
21 I think based on the concepts of extrapolation in a  
22 disease like asthma, which is similar, although

1       they're varying phenotypes in both children and  
2       adults, I do think that full extrapolation is  
3       appropriate in this age group, and that you would  
4       expect similar outcomes for a favorable  
5       risk-benefit as in adults.

6               I do think there's a need for more long-term  
7       safety data to determine overall exposure long  
8       term, frequency of use, and also think there needs  
9       to be further consideration around the specific  
10      parameters for approval as far as how the  
11      medication is being used and in what instances it's  
12      being used in asthma, for example, in  
13      exercise-induced asthma.

14               DR. AU: Thank you.

15               David Au. I voted no. I voted no because  
16       of similar reasons to what Dr. Kaizer had  
17       mentioned, especially around the lack of directness  
18       in terms of the differences from any particular  
19       point estimate.

20               I would say that I would give deference to  
21       the FDA to make decisions around this particular  
22       age group so that it aligns with what has been done

1       in the past as well, so as not to create more  
2       confusion in the practicing field around whether or  
3       not a particular drug or set of drugs is indicated  
4       for adolescents in particular. But in terms of the  
5       data itself, I do not think it supported a  
6       favorable benefit-risk just on face. Thank you.

7                   Dr. Kim?

8                   DR. E. KIM: Edwin Kim. I voted no. The  
9        risks I think are known and mostly manageable,  
10       however, the benefits to me were unclear. Even if  
11       one assumed benefit were there from extrapolation,  
12       the correct dose I think was also unclear. So for  
13       those reasons, I couldn't support a benefit over  
14       the known risk. I would need a larger sample size  
15       with more clear efficacy, including supporting the  
16       higher dose regimen.

17                   DR. AU: Thank you.

18                   Dr. Holguin?

19                   DR. HOLGUIN: Fernando Holguin. I voted yes  
20        for the reasons outlined by Dr. Jones.

21                   DR. AU: Dr. Stoller?

22                   DR. STOLLER: This is Jamie Stoller. I

1 voted no. My usual want is to sort of frame the  
2 level of confidence in my vote, and I would say in  
3 this particular issue it was, at most, moderate.

4 What I mean by that is that I have no  
5 particular safety concerns in this particular  
6 population. My concern regarded the possibility of  
7 extrapolation, as has been said; extrapolation, as  
8 I intimated, in regards to the similarity of  
9 disease, and then the applicability of predicate  
10 data -- in this case running from TREXA, both from  
11 the fact, as was pointed out, admittedly small  
12 sample sizes -- the point estimates in this study  
13 were in the wrong direction for the dose that's  
14 being proposed; again, tiny numbers, very volatile.  
15 And at least from TREXA and the primary outcome  
16 measure, the data were not supportive of a  
17 budesonide/albuterol rescue combination.

18 So those are the reasons that I voted no.  
19 I'll stop there. Thanks.

20 DR. AU: Thank you.

21 Dr. Tracy?

22 DR. TRACY: James Tracy here. I voted yes.

1 I do believe that it was reasonable. As members  
2 from the agency pointed out, it's often that  
3 adolescent data is pooled with adults. I was  
4 reassured by that. From a safety standpoint, I saw  
5 no significant safety signals whatsoever. And as I  
6 mentioned in the past, the primary drug of concern,  
7 of course, is the budesonide, and that's already  
8 indicated down to age 12 months. Thank you.

9 DR. AU: Thank you.

10 Ms. Schwartzott?

11 MS. SCHWARTZOTT: Yes. I'm Jennifer  
12 Schwartzott, and I voted yes. I feel that the  
13 benefits do outweigh the risks, although there are  
14 some risks. I do also think that further long-term  
15 data collection needs to be done from the FDA for  
16 youth under the age of 18, and I felt there was  
17 enough there to put it through.

18 DR. AU: Great. Thank you.

19 Dr. Dykewicz?

20 DR. DYKEWICZ: Mark Dykewicz. I voted yes,  
21 which was, if you will, a weak confidence yes.  
22 Considerations that went into my mind, well,

1       certainly the data is inconclusive. I did  
2       extrapolate -- give some credit, if you will -- to  
3       the data that has been generated within this age  
4       group for budesonide/formoterol, which has shown  
5       benefit for reducing -- well, having an effect on  
6       rescue.

7           I think that gives me some level of  
8       confidence that within this age group, we are  
9       looking at a disease process that would respond to  
10       an inhaled corticosteroid/beta agonist combo. I  
11       would also, though, say, based upon a question that  
12       I had raised earlier in the discussion, that I do  
13       have some uncertainty about whether or not we can  
14       extrapolate fully from the formoterol data, and  
15       formoterol is a long-acting beta agonist, and it is  
16       possible that that data is being driven in a  
17       positive way, not only by the inhaled  
18       corticosteroid, but also by the long-acting beta  
19       agonist, the nature of the drug.

20           This is also a population of adolescents;  
21       that is, it's higher risk for exacerbation and  
22       morbidity. There is certainly deliberation about

1 what the correct dose would be, where we had the  
2 80 dose looking somewhat more favorable, but I also  
3 am giving some deference to the FDA precedent for  
4 grouping together age 12 and 18 with the adult  
5 patients. Thank you.

6 DR. AU: Great. Thank you.

7 Can I ask the committee members, if you're  
8 not speaking, to place yourself on mute? We would  
9 appreciate that.

10 Mary Cataletto?

11 DR. CATALETTTO: Mary Cataletto. I voted  
12 yes. I think the risk-benefits pretty much speak  
13 for themselves, however, I would make one exception  
14 having worked with this population basically my  
15 whole career. It has the potential for abuse, and  
16 I think that it requires a whole new education for  
17 kids who, if they're going to use this BDA the way  
18 they use albuterol, as premedication for exercise,  
19 there is a potential that they're going to abuse  
20 it. So I would be very careful with that even  
21 though I said yes. I think that the  
22 exercise-induced asthma section needs to be very

1 carefully crafted and followed.

2 DR. AU: Thank you.

3 Dr. Cabana?

4 DR. CABANA: Michael Cabana. I voted no. I  
5 voted no for the reasons already articulated by  
6 Dr. Kaizer and Dr. Kim.

7 DR. AU: Thank you.

8 Dr. Cloutier?

9 DR. CLOUTIER: This is Michelle Cloutier. I  
10 voted no for the reasons better articulated by  
11 Dr. Au and Dr. Kim than I could articulate. Thank  
12 you.

13 DR. AU: Thank you.

14 Dr. Greenberger?

15 DR. GREENBERGER: Paul Greenberger. I voted  
16 no. I do not believe that the evidence support  
17 favorable benefit in terms of the benefit-risk  
18 assessment. I have no concerns regarding risks,  
19 but I do not see support of benefit, and that's why  
20 I voted no. I would like to see the agency and the  
21 sponsor work together to solve this problem and  
22 finding if there are good responders in this age

1 range. Thank you.

2 DR. AU: Thank you.

3 Ms. Oster?

4 MS. OSTER: This is Randi Oster, consumer  
5 representative, and I voted no. I'd like to expand  
6 the thoughts of my other colleagues and say that I  
7 voted no because the exacerbations that cause  
8 asthma are caused by irritants, and there is  
9 secondary data that says that zip codes are a point  
10 point where highways and factories can be a leading  
11 indicator.

12 We have here a wonderful solution that could  
13 work, but also could impact the growth rate of this  
14 particular age group, and we have small data, and  
15 that data was not balanced by demographic. So  
16 therefore, I would challenge the FDA as we move  
17 forward to really look at, sometimes, upstream  
18 thinking and make sure that we have a demographic  
19 balance in the data that is presented.

20 DR. AU: Thank you.

21 Dr. Hunsberger?

22 DR. HUNSMERGER: Yes. Sally Hunsberger. My

1 yes is a very, very soft yes, and I think the main  
2 reason I voted yes was just the idea that in the  
3 past, the FDA has extrapolated from the adults to  
4 this age group. The data in the study clearly  
5 don't give us any information about efficacy, so it  
6 is purely based on the extrapolation and just  
7 because the FDA has done that in the past. So if  
8 the FDA ruled differently, I would not be opposed  
9 to that, but I think that was my main  
10 consideration. The short-term safety I think is  
11 appropriate. Again, we don't know the long-term  
12 safety. That's all.

13 DR. AU: Thank you.

14 Dr. Evans?

15 DR. EVANS: Yes. Hi. This is Scott Evans.  
16 I voted yes. I did so on the basis of a generally  
17 favorable safety profile with a robust overall  
18 efficacy signal and some hint, at least, of a  
19 signal in this particular population, and the fact  
20 that I think it's particularly reasonable to  
21 extrapolate that efficacy signal to this  
22 population. I do wish to emphasize, though, in my

1 comments, as I did earlier, my concern about using  
2 this strategy for exercise-induced asthma. Thank  
3 you.

4 DR. AU: Thank you.

5 Dr. May?

6 DR. MAY: Susanne May. I voted no. The  
7 confidence intervals for the effect estimates, for  
8 the indications that are sought, were stretching  
9 from about a half in the positive direction to  
10 about 2 and a half to 4 in the harmful direction,  
11 which is, to me, consistent with -- or as  
12 supportive as no data, and approving may set a  
13 precedent for other combination drugs with no data  
14 for children, with similar individual safety  
15 profiles in adults and children and combined  
16 efficacy data in adults.

17 If the FDA were to consider  
18 seeing [indiscernible] that other precedent because  
19 of the strength of the adult data, then I could  
20 understand that, but otherwise I think there is not  
21 sufficient data in the kids to support a positive  
22 benefit-to-risk ratio on the primary outcome for

1 the indication sought, and that was it.

2 DR. AU: Thank you very much.

3 We definitely had a divided vote. Again,  
4 apologies for my voice.

5 Along the yeses, it was really a theme of  
6 the ability to extrapolate data from not only the  
7 compounds being under consideration, but other  
8 studies outside of it that support the general  
9 consideration of this combination for this  
10 particular age group.

11 There were some voices around the softness  
12 around the yes, mainly around the idea that there  
13 had been some precedent from the agency before  
14 about not looking at this particular age subgroup  
15 and making decisions independent of studies that  
16 included data specifically targeting this group or  
17 studies targeted at this subgroup.

18 I think, overall, there was general  
19 recognition that there's reasonable safety data,  
20 again, based on extrapolation, but that there were  
21 some issues, including making sure that there was  
22 no abuse with this approach, and there was a number

1 of comments around exercise-induced asthma or  
2 exercise-induced bronchoconstriction.

3 In terms of the noes, there was, I think, a  
4 clear message around lack of confidence in the  
5 data and lack of consistency in terms of  
6 dose-response relationships and not being able to  
7 actually estimate what is the right dosage. The  
8 committee I think differed from some of the yeses  
9 in that the external data did not necessarily  
10 support the use of this combination in this  
11 approach.

12 Also in the noes, there was an ask for the  
13 FDA to look to ensure that there is demographic  
14 data collection in future studies. I think within  
15 the noes and the yeses, there was a general theme  
16 that there is more data that's needed within this  
17 particular population in age categories and that  
18 the data itself could not directly speak, just  
19 because of the relatively few number of adolescents  
20 that were included in the study.

21 Let me pause there and ask if I missed  
22 anything from the committee or whether or not you

1       feel like I've summed that up adequately.

2                    (No response.)

3                    DR. AU: Great.

4                    We will now move on to question 5, also a  
5        voting question.

6                    Do the data support a favorable benefit-risk  
7        assessment for the use of BDA in patients equal to  
8        or greater than 4 years to 12 years and less than  
9        12 years of age with asthma. If not, what  
10      additional data are needed?

11                  Are there any issues or questions about the  
12      wording of the voting question?

13                  (No response.)

14                  DR. AU: If there are no questions or  
15      comments concerning the wording of the question, we  
16      will now begin voting on question 5.

17                  DR. STEVENSON: We will now move voting  
18      members to the voting breakout room to vote only.  
19      There will be no discussion in the voting breakout  
20      room.

21                  (Voting.)

22                  DR. STEVENSON: Voting has closed and is now

1 complete. Once the vote results display, I will  
2 read the vote results into the record.

3 (Pause.)

4 DR. STEVENSON: The vote results are  
5 displayed. I will read the vote totals into the  
6 record. The chairperson will go down the list, and  
7 each voting member will state their name and their  
8 vote into the record. You can also state the  
9 reason why you voted as you did, if you want to,  
10 however, you should also address any subparts of  
11 the voting question, if any.

12 There is 1 yes, 16 noes, zero abstentions.

13 DR. AU: Thank you.

14 We will now go down the list and have  
15 everyone who voted state their name and vote into  
16 the record. You may also provide justification of  
17 your vote, if you wish to.

18 We will start with Dr. Kaizer.

19 DR. KAIZER: Alex Kaizer, and I voted no. I  
20 think the reasons are similar to my reasons for the  
21 12 to 17 year old range in that given the small  
22 sample sizes, there's just a lot of uncertainty

1 around the point estimate. And given that it was  
2 very much in the neighborhood of the null around a  
3 hazard ratio of 1, I think additional data is  
4 needed to either confirm that even though there is  
5 a fairly good short-term safety profile, is it  
6 truly a lack of efficacy or is it just that it's a  
7 small sample and more data is needed to actually  
8 confirm that there may be a benefit there for at  
9 least some patients, given the potential  
10 heterogeneity across phenotypes that others  
11 mentioned?

12 I further think, as well, that long-term  
13 safety data may be needed with regard to some of  
14 the growth concerns or considerations that were  
15 also raised by other committee members. Thank you.

16 DR. AU: Thank you.

17 Dr. Jones?

18 DR. JONES: This is Bridgette Jones. I  
19 voted yes in regards to my comment for the other  
20 age groups. Again, I think the overall safety  
21 profile was reassuring with no concerning safety  
22 events. I also think about the fact that the

1 original discussions and proposal with the FDA was  
2 to assess safety. I applaud the FDA and the  
3 sponsor's efforts to obtain efficacy in children,  
4 in young children especially, but I think it's  
5 certain limits, a very small sample size, and then  
6 maybe not the most appropriate primary outcome  
7 endpoint of exacerbation.

8 So I think based off of that, we're left  
9 with whether or not you can extrapolate based on  
10 disease similarities, and what we know about these  
11 medications, and how they function in children. So  
12 based off of those thoughts of utilizing  
13 extrapolation, I still think there are kids in the  
14 4 to 12 age group who would likely benefit from  
15 this medication, and I think our job is to kind of  
16 make that educated guess, and then the art of  
17 medicine occurs in the doctor's office, where we  
18 determine which children may benefit from use of  
19 certain medications.

20 So for those reasons, I voted yes. I still  
21 think there's a concern for the long-term safety as  
22 I mentioned before, so there would definitely need

1 to be postmarketing safety studies, and then an  
2 additional look at more specific parameters of use  
3 particularly regarded to exercise-induced asthma.

4 DR. AU: Great.

5 This is David Au. I voted no for the same  
6 reasons as Dr. Kaizer. Thank you.

7 Dr. Kim?

8 DR. E. KIM: Edwin Kim. I voted no.

9 Similar to my argument with the adolescents, I  
10 think the risk again here is known and mostly  
11 manageable, but I think the efficacy data at the  
12 dose studied is inconclusive. And extrapolation, I  
13 have some concerns, as was voiced during the  
14 discussion, about some of the differences in the  
15 youngest asthmatics versus the adults and the  
16 different triggers.

17 Additional studies would just be additional  
18 efficacy studies specific to this age group to show  
19 that there is some stronger signal than what has  
20 been shown so far that could justify further  
21 extrapolation.

22 DR. AU: Thank you.

1 Dr. Holguin?

2 DR. HOLGUIN: Yes. Fernando Holguin. I  
3 voted no for the reasons that Dr. Kaizer mentioned.  
4 But in addition, to me, what's important is the  
5 fact that there's less evidence supporting efficacy  
6 in other studies outside the trial as well.

7 DR. AU: Thank you.

8 Let's see. Dr. Stoller?

9 DR. STOLLER: This is Jamie Stoller. I  
10 voted no [indiscernible]. I think the reasons have  
11 been nicely articulated. I would emphasize the  
12 need for a dedicated study to get real-world data  
13 on use and long-term effects in this young  
14 population, and I'll stop there.

15 DR. AU: Thank you.

16 Dr. Tracy?

17 DR. STEVENSON: Excuse me. This is Takyiah  
18 speaking. I'm sorry to interrupt.

19 Dr. Stoller -- I'm sorry. Just a general,  
20 friendly reminder to all participants, please  
21 remember to mute your phones, in Adobe or on your  
22 phones, when you are not speaking.

1                   Dr. Stoller, could you please kindly repeat  
2 your name and your vote? I'm not sure if we caught  
3 when you stated your vote into the record. Thank  
4 you.

5                   DR. STOLLER: Oh. I'm sorry. Can you hear  
6 me now? I was unmuted.

7                   DR. STEVENSON: Yes. It was just background  
8 noise coming from someone else. So yes, please  
9 just restate your name and your vote for the  
10 record.

11                  DR. STOLLER: This is Jamie Stoller. I  
12 voted no, largely for the reasons stated, and I  
13 would emphasize the need for a larger study in this  
14 particular population to better ascertain long-term  
15 risks and real-world data. Thank you.

16                  DR. STEVENSON: I appreciate that,  
17 Dr. Stoller.

18                  I can hand it back to the chair. Thank you,  
19 Dr. Au.

20                  DR. AU: Thank you.

21                  Dr. Tracy?

22                  DR. TRACY: James Tracy. I voted no.

1       Although I had no real concerns from a safety  
2       standpoint, where I felt like we could extrapolate  
3       with adolescents, I didn't think that would hold  
4       quite so true with this age group. Thank you.

5                   DR. AU: Ms. Schwartzott?

6                   MS. SCHWARTZOTT: This is Jennifer  
7       Schwartzott, and I voted no. I just do not feel  
8       like there was enough data to make a truly informed  
9       decision on safety and efficacy, along with the  
10      other reasons others have stated.

11                  DR. AU: Thank you.

12                  Dr. Dykewicz?

13                  DR. DYKEWICZ: Mark Dykewicz. No, for the  
14      reasons well articulated by others.

15                  DR. AU: Thank you.

16                  Dr. Cataletto?

17                  DR. CATALETTTO: Mary Cataletto. I voted no  
18      for the reasons that have been expressed so far.

19                  DR. AU: Thank you.

20                  Dr. Cabana?

21                  DR. CABANA: Michael Cabana. I voted no,  
22      similar to the reasons stated by Dr. Stoller.

1 DR. AU: Thank you.

2 Dr. Cloutier?

3 DR. CLOUTIER: I voted no. I think there  
4 are too many uncertainties related to the efficacy,  
5 and I think it's unclear how to use this  
6 combination in children, in young children  
7 especially, in asthma of different severities, as  
8 well as different indications, including  
9 exercise-induced asthma.

10 DR. AU: Thank you.

11 Dr. Cloutier, can I ask you to state your  
12 name into the record?

13 DR. CLOUTIER: Oh, I'm sorry. It's Michelle  
14 Cloutier, and I voted no --

15 DR. AU: Thank you.

16 DR. CLOUTIER: -- for the reasons  
17 articulated by others.

18 DR. AU: Thank you. I think we got your  
19 reasons. I think we just needed your official  
20 name. Thank you.

21 Dr. Greenberger?

22 DR. GREENBERGER: Paul Greenberger. I voted

1 no. As with adolescents, in the ages 4 to 12, I do  
2 not believe we have evidence of a favorable  
3 benefit, and I voted no for that reason.

4 DR. AU: Thank you.

5 Ms. Oster?

6 MS. OSTER: This is Randi Oster, consumer  
7 representative, and I voted no, and I wish I didn't  
8 have to. I remember what Michelle Dickens said  
9 about it was a simple and elegant relief,  
10 Dr. Seymour called it novel, and Kelly Stone  
11 referred to it as unique, and what an opportunity  
12 if we could have approved this.

13 But I want to go back and make sure that for  
14 us to do that, that the message is clear. Small  
15 data actually slows down the process because we  
16 weren't able to say yes today. And from a consumer  
17 point of view, when people think of the FDA, they  
18 think that it's safe and tested, and that's what we  
19 have to deliver so that there is trust.

20 So going forward, I hope that this message  
21 is an opportunity for when the testing samples  
22 are coming in, to push back and say we need more

1 because we know where this is going to go at the  
2 end of the results. Thank you.

3 DR. AU: Thank you.

4 Dr. Hunsberger?

5 DR. HUNSMERGER: Sally Hunsberger. I voted  
6 no. I believe you can't extrapolate from the adult  
7 data to this small subgroup. I think we need  
8 efficacy data, you need long-term safety data, and  
9 also to learn more about how it would be used in  
10 this population. So for those reasons, I voted no.

11 DR. AU: Thank you.

12 Dr. Evans?

13 DR. EVANS: This is Scott Evans, and I voted  
14 no. The reasons have largely already been stated,  
15 but I will also emphasize that I'm sympathetic to  
16 Dr. Jones' comments about the art of medicine, and  
17 in this case, since both components of this  
18 combination are already approved for use in this  
19 population, I regard physicians that are caring for  
20 these patients still have the opportunity to  
21 prescribe them if they perceive their patient to  
22 have a potential benefit.

1 DR. AU: Great.

2 Dr. May?

3 DR. MAY: Susanne May. I voted no for the  
4 reasons stated by others on the committee and for  
5 the reasons stated for the last question as well.

6 DR. AU: Thank you.

7 Let me see if I can summarize this for us.

8 There was an overwhelming preponderance of no votes  
9 in this case. The yes vote was made, I think,  
10 largely because of the art of medicine, and that  
11 the safety profiles with the known agents are well  
12 described and can be managed; that the ability to  
13 extrapolate, based on existing data, was  
14 appropriate, and therefore the panel member felt  
15 that it was appropriate to recommend approval.

16 I think the no votes come down to  
17 disagreement around the ability to extrapolate, the  
18 lack of consistent efficacy data, data that is  
19 inconsistent internally around dose and  
20 dose-response relationships. There was also an  
21 absence of long-term safety data, as well as desire  
22 to have a better understanding of how this would be

1 used in the real world.

2                   Finally, there was a comment around small  
3 data or small numbers of patients and how it slows  
4 the approval processes and is relatively  
5 inefficient, as well as the need for, obviously,  
6 additional data within this particular age strata.

7                   Let me pause there and ask if there's  
8 anything that I missed in summary that people feel  
9 like they should add?

10                   (No response.)

11                   DR. AU: Hearing none, I think we are close  
12 to adjournment, but before we adjourn, are there  
13 any last comments from the FDA?

14                   DR. STONE: This is Kelly Stone from the  
15 FDA. On behalf of the division and the agency, we  
16 would like to thank the committee for your comments  
17 and your feedback. We're going to take all of the  
18 information that you provided in your discussion as  
19 we review this program, but we're grateful to you  
20 for your efforts in reviewing the program and  
21 providing insight. So thank you all, to all  
22 participants.

**Adjournment**

DR. AU: I also wanted to thank the panel members. I thought the discussion was very robust. I also appreciate AstraZeneca and Bond Avillion for their presentations today, and I just want to wish everyone a pleasant evening, and we will now adjourn the meeting. Thank you.

(Whereupon, at 4:04 p.m., the meeting was adjourned.)

10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22