

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
JOINT MEETING OF THE PSYCHOPHARMACOLOGIC  
DRUGS ADVISORY COMMITTEE (PDAC) AND THE  
PERIPHERAL AND CENTRAL NERVOUS SYSTEM  
ADVISORY COMMITTEE (PCNS)

Virtual Meeting

Friday, April 14, 2023

9:00 a.m. to 3:26 p.m.

**Meeting Roster****DESIGNATED FEDERAL OFFICER (Non-Voting)****Joyce Frimpong, PharmD**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE****MEMBERS (Voting)****Jess G. Fiedorowicz, MD, PhD**

Head and Chief, Department of Mental Health  
The Ottawa Hospital  
Professor and Senior Research Chair in Adult  
Psychiatry, Department of Psychiatry  
University of Ottawa  
Ottawa, Ontario

**Satish Iyengar, PhD**

Chair and Professor of Statistics  
Department of Statistics  
University of Pittsburgh  
Pittsburgh, Pennsylvania

1     **Rajesh Narendran, MD**

2     *(Chairperson)*

3     Attending Psychiatrist

4     resolve Crisis Services

5     UPMC Western Psychiatric Hospital

6     Professor in Radiology and Psychiatry

7     University of Pittsburgh School of Medicine

8     Psychiatric Molecular Imaging Program

9     Pittsburgh, Pennsylvania

10

11     **Patrick S. Thomas, Jr., MD, PhD**

12     Assistant Professor

13     Department of Psychiatry

14     Baylor College of Medicine, Menninger Clinic

15     Houston, Texas

16

17     **Kim O. Witczak**

18     *(Consumer Representative)*

19     Co-Founder, Executive Director

20     Woodymatters

21     Minneapolis, Minnesota

22

1       **PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2       **(Non-Voting)**

3       **Robert W. Baker, MD**

4       *(Industry Representative)*

5       Deputy Chief Medical Officer and

6       Senior Vice President (retired)

7       Eli Lilly and Company

8       Lilly Corporate Center

9       Indianapolis, Indiana

10

11       **PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS ADVISORY**

12       **COMMITTEE MEMBERS (Voting)**

13       **Merit E. Cudkowicz, MD, MSC**

14       Julieanne Dorn Professor of Neurology

15       Chair, Department of Neurology and

16       Director of the Sean M. Healey and AMG

17       Center for ALS at Mass General Hospital

18       Harvard Medical School

19       Boston, Massachusetts

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Liana G. Apostolova, MD, MSc, FAAN**

Distinguished Professor in Neurology  
Barbara and Peer Baekgaard Chair in Alzheimer's  
Disease Research  
Professor in Radiology and Medical and  
Molecular Genetics  
Indiana University School of Medicine  
Indiana Alzheimer's Disease Center  
Indianapolis, Indiana

**TEMPORARY MEMBERS (Voting)**

**Colette Johnston**

*(Patient Representative)*  
Moab, Utah

1     **Sabrina Paganoni, MD, PhD**

2     Co-Director

3     Neurological Clinical Research Institute

4     Department of Neurology

5     Sean M. Healey & AMG Center for ALS at

6     Mass General Hospital

7     Associate Professor of PM&R

8     Harvard Medical School

9     Boston, Massachusetts

10

11     **David Weisman, MD**

12     Director

13     ANA Clinical Research Center

14     Abington Neurologic Associates

15     Abington, Pennsylvania

16

17     **FDA PARTICIPANTS (Non-Voting)**

18     **Teresa Buracchio, MD**

19     Director (Acting)

20     Office of Neuroscience (ON)

21     Office of New Drugs (OND), CDER, FDA

22

1 **Tiffany R. Farchione, MD**

2 Director

3 Division of Psychiatry (DP)

4 ON, OND, CDER, FDA

5

6 **Bernard Fischer, MD**

7 Deputy Director

8 DP, ON, OND, CDER, FDA

9

10 **Marc Stone, MD**

11 Deputy Director for Safety

12 DP, ON, OND, CDER, FDA

13

14 **Jean Kim, MD**

15 Clinical Team Lead

16 DP, ON, OND, CDER, FDA

17

18 **Shamir N. Kalaria, PharmD, PhD**

19 Clinical Reviewer

20 DP, ON, OND, CDER, FDA

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Peiling Yang, PhD**

Biometrics Team Lead  
Division of Biometrics I (DBI)  
Office of Biostatistics (OB)  
Office of Translational Sciences (OTS)  
CDER, FDA

**Yang (Kelly) Yang, PhD**

Biometrics Reviewer  
DBI, OB, OTS, CDER, FDA



1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Rajesh Narendran, MD	11
5	Introduction of Committee	
6	Joyce Frimpong, PharmD	11
7	Conflict of Interest Statement	
8	Joyce Frimpong, PharmD	16
9	FDA Opening Remarks	
10	Tiffany Farchione, MD	21
11	<b>Applicant Presentations - Otsuka</b>	
12	<b>Pharmaceutical Company</b>	
13	Introduction	
14	Mary Hobart, PhD	27
15	Unmet Need in Agitation Associated with	
16	Alzheimer's Dementia	
17	Zahinoor Ismail, MD, FRCPC	32
18	Efficacy	
19	Robert McQuade, PhD	36
20	Safety	
21	John Kraus, MD, PhD	53
22		

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clinical Perspective	
Alireza Atri, MD, PhD	62
Benefit/Risk Summary	
Mary Hobart, PhD	73
Clarifying Questions to Applicant	75
<b>FDA Presentations</b>	
Efficacy and Safety	
Shamir Kalaria, PharmD, PhD	103
Clarifying Questions to FDA	139
<b>Open Public Hearing</b>	158
Questions to the Committee and Discussion	201
Adjournment	250

P R O C E E D I N G S

(9:00 a.m.)

**Call to Order**

DR. NARENDRAN: Good morning and welcome. I would first like to remind everyone to please mute your line when you are not speaking. My name is Raj Narendran, and I will be chairing this meeting. I will now call April 14, 2023 joint meeting of the Psychopharmacologic Drugs Advisory Committee and the Peripheral and Central Nervous System Drugs Advisory Committee meeting to order. Dr. Joy Frimpong is the designated federal officer for this meeting and will begin with the introductions.

**Introduction of Committee**

DR. FRIMPONG: Good morning. My name is Joyce Frimpong, and I'm the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Jess Fiedorowicz?

DR. FIEDOROWICZ: Hello. I'm Jess Fiedorowicz, and I'm with the University of Ottawa.

1 DR. FRIMPONG: Dr. Satish Iyengar?

2 DR. IYENGAR: Hello. My name is Satish  
3 Iyengar. I am in the statistics department at the  
4 University of Pittsburgh.

5 DR. FRIMPONG: Dr. Rajesh Narendran?

6 DR. NARENDRAN: I'm Raj Narendran. I'm a  
7 psychiatrist at the University of Pittsburgh, UPMC  
8 health system.

9 DR. FRIMPONG: Dr. Patrick Thomas?

10 DR. THOMAS: Hello. My name is Patrick  
11 Thomas. I'm a psychiatrist at Baylor College of  
12 Medicine.

13 DR. FRIMPONG: Ms. Kim Witczak?

14 MS. WITCZAK: Good morning. Kim Witczak,  
15 consumer representative with Woodymatters, a drug  
16 safety organization in Minneapolis, Minnesota.

17 DR. FRIMPONG: Dr. Robert Baker?

18 DR. BAKER: Good morning, Dr. Frimpong. Hi.  
19 This is Robert Baker. I've happily, since the start  
20 of this year, been retired, but I was at Eli Lilly,  
21 where I worked in drug development and drug safety,  
22 and before that I was a psychiatrist, University of

1 Mississippi, University of Pittsburgh.

2 DR. FRIMPONG: Dr. Merit Cudkowicz?

3 DR. CUDKOWICZ: I'm a neurologist at Mass  
4 General Hospital, Harvard Medical School.

5 DR. FRIMPONG: Dr. Liana Apostolova?

6 DR. APOSTOLOVA: Good morning. I'm Liana  
7 Apostolova, and I'm a neurologist at Indiana  
8 University.

9 DR. FRIMPONG: Ms. Colette Johnston?

10 MS. JOHNSTON: Good morning. I'm Colette  
11 Johnston. I'm the patient advocate.

12 DR. FRIMPONG: Dr. Sabrina Paganoni?

13 DR. PAGANONI: Hello. I'm Sabrina Paganoni.  
14 I'm a physician investigator and Mass General,  
15 Brigham, and Harvard Medical School.

16 DR. FRIMPONG: Dr. David Weisman?

17 DR. WEISMAN: Hi. I'm Dave Weisman, and I'm  
18 a neurologist in practice around Philadelphia at  
19 Abington Neuro.

20 DR. FRIMPONG: And now for our FDA  
21 participants, Dr. Teresa Buracchio?

22 DR. BURACCHIO: Hello. I'm Dr. Teresa

1 Buracchio. I am the acting office director for the  
2 Office of Neuroscience.

3 DR. FRIMPONG: Dr. Tiffany Farchione?

4 DR. FARCHIONE: Hi. I'm Tiffany Farchione.  
5 I'm the director of the Division of Psychiatry.

6 DR. FRIMPONG: Dr. Bernard Fischer?

7 DR. FISCHER: Good morning. I'm Bernie  
8 Fischer. I'm the deputy director for psychiatry in  
9 the Office of New Drugs.

10 DR. FRIMPONG: Dr. Marc Stone?

11 DR. STONE: Yes. I am Marc Stone. I'm the  
12 deputy director for safety in the Division of  
13 Psychiatry.

14 DR. FRIMPONG: Dr. Jean Kim?

15 DR. KIM: Hi. I'm Dr. Jean Kim, clinical  
16 team lead in the Division of Psychiatry.

17 DR. FRIMPONG: Dr. Shamir Kalaria?

18 DR. KALARIA: Good morning. I'm Shamir  
19 Kalaria. I'm a clinical reviewer within the Division  
20 of Psychiatry.

21 DR. FRIMPONG: Dr. Peiling Yang?

22 DR. P. YANG: Hi. I'm Peiling Yang. I'm a

1 biometrics team leader in the Office of  
2 Biostatistics.

3 DR. FRIMPONG: And Dr. Kelly Yang?

4 DR. K. YANG: Hi. I'm Kelly Yang, biometrics  
5 reviewer in the Office of Biometrics. Thank you.

6 DR. FRIMPONG: That concludes the meeting  
7 roster.

8 Dr. Narendran, now to you.

9 DR. NARENDRAN: Thank you, Joyce.

10 For topics such as those being discussed at  
11 this meeting, there are often a variety of  
12 opinions, some of which are quite strongly held.  
13 Our goal is that this meeting will be a fair and  
14 open forum for the discussion of these issues and  
15 that individuals can express their views without  
16 interruption. Thus, as a gentle reminder,  
17 individuals will be allowed to speak into the  
18 record only if they're recognized by the  
19 chairperson. We look forward to a productive  
20 meeting.

21 In the spirit of the Federal Advisory  
22 Committee Act and the Government in the Sunshine

1 Act, we ask that the advisory committee members  
2 take care that their conversations about the topic  
3 at hand take place in the open forum of the  
4 meeting.

5 We are aware that members of the media are  
6 anxious to speak with the FDA about these  
7 proceedings; however, FDA will refrain from  
8 discussing the details of this meeting with the  
9 media until its conclusion. Also, the committee is  
10 reminded to please refrain from discussing the  
11 meeting topic during breaks or lunch. Thank you.

12 Dr. Joyce Frimpong will read the Conflict of  
13 Interest Statement for the meeting.

14 **Conflict of Interest Statement**

15 DR. FRIMPONG: The Food and Drug  
16 Administration is convening today's joint meeting  
17 of the Psychopharmacologic Drugs Advisory Committee  
18 and the Peripheral and Central Nervous System Drug  
19 Advisory Committee under the authority of the  
20 Federal Advisory Committee Act of 1972. With the  
21 exception of the industry representative, all  
22 members and temporary voting members of the



1 committees are special government employees or  
2 regular federal employees from other agencies, and  
3 are subject to federal conflict of interest laws  
4 and regulations.

5 The following information on the status of  
6 this committee's compliance with federal ethics and  
7 conflict of interest laws, covered by but not  
8 limited to those found at 18 U.S.C. Section 208, is  
9 being provided to participants in today's meeting  
10 and to the public.

11 FDA has determined that members and  
12 temporary voting members of these committees are in  
13 compliance with federal ethics and conflict of  
14 interest laws. Under 18 U.S.C. Section 208,  
15 Congress has authorized FDA to grant waivers to  
16 special government employees and regular federal  
17 employees who have potential financial conflicts  
18 when it is determined that that agency's need for a  
19 special government employee's services outweighs  
20 his or her potential financial conflict of  
21 interest, or when the interest of a regular federal  
22 employee is not so substantial as to be deemed

1 likely to affect the integrity of the services  
2 which the government may expect from the employee.

3           Related to the discussions of today's  
4 meeting, members and temporary voting members of  
5 these committees have been screened for potential  
6 financial conflicts of interests of their own as  
7 well as those imputed to them, including those of  
8 their spouses or minor children and, for purposes  
9 of 18 U.S.C. Section 208, their employers. These  
10 interests may include investments; consulting;  
11 expert witness testimony; contracts, grants,  
12 CRADAs; teaching, speaking, writing; patents and  
13 royalties; and primary employment.

14           Today's agenda involves the discussion of  
15 supplement new drug application 205422 s009, efficacy  
16 supplement for Rexulti, brexpiprazole, tablets,  
17 submitted by Otsuka Pharmaceutical Company, Limited  
18 and Lundbeck, Incorporated, for the proposed  
19 treatment of agitation associated with Alzheimer's  
20 dementia. This is a particular matters meeting  
21 during which specific matters related to Otsuka  
22 Pharmaceutical's and Lundbeck's supplemental new

1 drug application will be discussed.

2 Based on the agenda for today's meeting and  
3 all financial interests reported by the committee  
4 members and temporary voting members, a conflict of  
5 interest waiver has been issued in accordance with  
6 18 U.S.C. Section 208(b)(3) to Dr. David Weisman.  
7 Dr. Weisman's waiver includes his employer's  
8 research funded by John Hopkins Bloomberg School of  
9 Public Health Center for Clinical Trials and National  
10 Institute on Aging for which his employer receives  
11 between \$5,000 to 15,000 per year, and Dr. Weisman  
12 receives between \$0 to \$5,000 per year in salary  
13 support.

14 The waiver allows this individual to  
15 participate fully in today's deliberations. FDA's  
16 reasons for issuing the waiver are described in the  
17 waiver documents, which are posted on FDA's  
18 website. Copies of the waiver may also be obtained  
19 by submitting a written request to the agency's  
20 Freedom of Information Division, 5630 Fishers Lane,  
21 Room 1035, Rockville, Maryland, 20857, or requests  
22 may be sent via fax to 301-827-9267.

1           To ensure transparency, we encourage all  
2 standing members and temporary voting members to  
3 disclose any public statements that they have made  
4 concerning the product at issue. With respect to  
5 FDA's invited industry representative, we would  
6 like to disclose that Dr. Robert Baker is  
7 participating in this meeting as a non-voting  
8 industry representative, acting on behalf of a  
9 regulated industry. Dr. Baker's role at this  
10 meeting is to represent industry in general and not  
11 any particular company.

12           We would like to remind members and  
13 temporary voting members that if the discussion  
14 involves any other products or firms not already on  
15 the agenda for which an FDA participant has a  
16 personal or imputed financial interest, the  
17 participants need to exclude themselves from such  
18 involvement, and their exclusion will be noted for  
19 the record. FDA encourages all participants to  
20 advise the committees of any financial  
21 relationships that they may have with the firm at  
22 issue. Thank you.

1 DR. NARENDRAN: We will now proceed with the  
2 FDA's introductory remarks from Dr. Tiffany  
3 Farchione.

4 **FDA Opening Remarks - Tiffany Farchione**

5 DR. FARCHIONE: Hi. Good morning everyone.  
6 As noted, my name is Tiffany Farchione. I'm the  
7 director of the Division of Psychiatry here at FDA,  
8 and today we're going to be discussing the  
9 application for brexpiprazole, for the treatment of  
10 agitation associated with Alzheimer's dementia.

11 As everyone on this committee likely knows,  
12 Alzheimer's disease is the most common cause of  
13 dementia, with an estimated U.S. prevalence of around  
14 6.5 million people over age 65, and although  
15 cognitive decline is the predominant symptom,  
16 behavioral and psychological symptoms of dementia, or  
17 BPSD, including agitation, aggression, irritability,  
18 are very common. BPSD symptoms are associated with a  
19 higher risk of accelerated disease progression,  
20 functional decline, decreased quality of life,  
21 greater caregiver burden, increased out-of-home  
22 placement, and earlier death.

1           The clinical presentation and frequency of  
2 BPSD symptoms can vary, but most patients experience  
3 an initial onset of symptoms in the later stages of  
4 Alzheimer's disease and worsening symptoms as  
5 Alzheimer's progresses.

6           So today we're going to talk specifically  
7 about agitation associated with Alzheimer's disease.  
8 Agitation is among the most persistent and  
9 challenging aspects of care among patients with BPSD.  
10 The estimated prevalence of agitation associated with  
11 Alzheimer's is approximately 40 percent, with higher  
12 rates observed in patients living in long-term care  
13 facilities relative to those living in the community.

14           In 2015, the International Psychogeriatric  
15 Association formed the Agitation Definition Working  
16 Group to establish a consensus definition of  
17 agitation and cognitive disorders. This definition  
18 was finalized and updated just last year. The  
19 definition includes four criteria that must be met:  
20 one, the presence of cognitive impairment or  
21 dementia; the types and duration of behaviors to be  
22 considered; that the symptoms have to be associated

1 with excess distress or produce excess disability;  
2 and the symptoms must not be attributable to some  
3 other condition.

4 At the moment, there is an unmet medical need  
5 for this condition. The clinical management of  
6 agitation is a challenge. Currently,  
7 non-pharmacological approaches include cognitive  
8 stimulation, group therapy, exercise, music therapy,  
9 multisensory therapy, but there's no FDA-approved  
10 pharmacological options. Nonetheless, off-label  
11 treatment is common and can include benzodiazepines,  
12 antihistamines, antidepressants, antiepileptics, and,  
13 of course, antipsychotics. But studies evaluating  
14 off-label pharmacological treatments are very  
15 heterogeneous in design and in their patient  
16 populations, and the results have only demonstrated  
17 small improvements related to efficacy, but with  
18 serious risks and with tolerability concerns.

19 Specifically focusing on the use of  
20 antipsychotics for the treatment of agitation, they  
21 are typically used as a first-line treatment, and the  
22 American Psychiatric Association practice guidelines

1 actually recommend the use of non-emergency  
2 antipsychotic medications for the treatment of  
3 agitation in patients with dementia. But in 2005, we  
4 actually added a boxed warning to all of the  
5 antipsychotic label for the increased risk of  
6 mortality in elderly patients with dementia-related  
7 psychosis who were receiving antipsychotic treatment,  
8 and it was about a 70 percent increase.

9 After the boxed warning was implemented,  
10 various regulatory bodies and healthcare institutions  
11 have taken additional action to try to limit the  
12 off-label use of antipsychotics, but drug utilization  
13 data actually indicate that although there have been  
14 an overall decrease in antipsychotic use, there has  
15 also been an increase in the use of other  
16 medications, like opioids, antiepileptics  
17 benzodiazepines, among elderly patients with  
18 dementia.

19 There is limited evidence to support the  
20 alternative to antipsychotics. That leaves  
21 healthcare providers with unclear choices for  
22 treatment, and although there's no FDA-approved



1 treatments for agitation, antipsychotics are still  
2 commonly prescribed off-label despite the limited  
3 benefits observed in studies that have been conducted  
4 thus far and that are described in the literature,  
5 and also the increased risk of mortality.

6 So today we actually have an application  
7 in house for an antipsychotic that is intended to  
8 treat agitation associated with Alzheimer's dementia.  
9 We just have one voting question for the committee  
10 today, but we really want to focus quite a bit on the  
11 discussion aspect of this application.

12 We want the committee to discuss the overall  
13 benefit-risk assessment of brexpiprazole for the  
14 treatment of agitation associated with Alzheimer's  
15 disease, and we want that discussion to take into  
16 consideration the increase risk of death among  
17 elderly patients with dementia receiving  
18 antipsychotics, as well as the risk of medications  
19 that are often used off-label for the treatment of  
20 agitation without established evidence of efficacy.

21 We also want the committee to discuss whether  
22 there's a population of patients with Alzheimer's for

1       whom the benefit-risk appears acceptable and is there  
2       a population for whom the benefit-risk doesn't appear  
3       favorable; so really both sides of that equation.  
4       And finally, for the voting question today, has the  
5       applicant provided sufficient data to allow  
6       identification of a population in whom the benefits  
7       of treating agitation associated with Alzheimer's  
8       with brexpiprazole outweigh the risks? If you don't  
9       believe that they've provided that data, what  
10      additional data would be needed to support the use of  
11      brexpiprazole for the treatment of agitation  
12      associated with Alzheimer's?

13               So that's the charge to the committee today,  
14      and with that, I will hand it back to Dr. Narendran.

15               DR. NARENDRAN: Thank you.

16               Both the Food and Drug Administration and  
17      the public believe in a transparent process for  
18      information gathering and decision making. To  
19      ensure such transparency at the advisory committee  
20      meeting, FDA believes that it is important to  
21      understand the context of an individual's  
22      presentation.

1           For this reason, FDA encourages all  
2 participants, including the applicant's  
3 non-employee presenters, to advise the committee of  
4 any financial relationships that they may have with  
5 the applicant, such as consulting fees, travel  
6 expenses, honoraria, and interest in the applicant,  
7 including equity interests and those based upon the  
8 outcome of the meeting.

9           Likewise, FDA encourages you at the  
10 beginning of your presentation to advise the  
11 committee if you do not have any such financial  
12 relationships. If you choose not to address this  
13 issue of financial relationships at the beginning  
14 of your presentation, it will not preclude you from  
15 speaking.

16           We will now proceed with Otsuka  
17 Pharmaceutical Company, Limited's presentation.

18           **Applicant Presentation - Mary Hobart**

19           DR. HOBART: Good morning. I'm Mary Hobart,  
20 vice president for Global Regulatory Affairs at  
21 Otsuka Pharmaceutical. I want to thank the chair,  
22 members of the committee, the FDA, and members of the

1 public watching today. I'd also like to thank the  
2 patients and their families who participated in our  
3 clinical trials. They, more than anyone, know how  
4 difficult and destructive agitation associated with  
5 Alzheimer's dementia, or AAD, can be. For many, AAD  
6 is accompanied with poor health outcomes, increased  
7 institutionalization, and caregiver distress, and,  
8 unfortunately, there are no approved therapies to  
9 treat this devastating disease.

10 We are here today to discuss a supplemental  
11 indication for brexpiprazole when dosed  
12 2-to-3 milligrams daily, for the treatment of  
13 agitation associated with Alzheimer's dementia or  
14 AAD. We want to be clear. We are not proposing to  
15 remove the boxed warning and look forward to  
16 discussing final labeling with the agency. Let me  
17 provide some brief background on the regulatory  
18 history of brexpiprazole.

19 Brexpiprazole, or Rexulti, was approved in  
20 the U.S. in 2015 for the treatment of schizophrenia  
21 and for use as adjunct treatment to antidepressants  
22 for the treatment of major depressive disorder.

1 Brexpiprazole is also approved for schizophrenia and,  
2 where applicable, MDD in more than 60 countries,  
3 including the European Union and Canada. Through May  
4 of 2022, the data cutoff date for this supplemental  
5 marketing application, we estimate that there are  
6 over 1 million patient-years experience with  
7 brexpiprazole from clinical studies and postmarketing  
8 experience.

9 With that background, let me review the  
10 clinical program and key regulatory interactions  
11 related to our supplemental NDA. Two phase 3  
12 studies, Trial 283 and Trial 284, were conducted  
13 concurrently. Key trial design elements, such as the  
14 patient population, dosing, and endpoints, were  
15 agreed upon with the FDA at a 2012 pre-IND meeting.  
16 In 2015, brexpiprazole was granted fast-track  
17 designation by the FDA. In 2018, we met with the FDA  
18 to agree upon the design and enrichment criteria for  
19 a third phase 3 study, Trial 213, which included both  
20 higher doses of 2-and-3 milligrams brexpiprazole and  
21 an enriched population.

22 To address the FDA's request for long-term

1 safety data, patients who completed Study 213 were  
2 allowed to enroll in our open-label trial, 182, where  
3 all patients were treated with brexpiprazole. This  
4 provided an additional 3 months of treatment for a  
5 total of 6 months of treatment. The supplemental NDA  
6 was submitted to the FDA in 2022 and was accepted for  
7 priority review.

8 Today we will discuss the results from these  
9 three global, randomized, placebo-controlled, phase 3  
10 trials that support the efficacy and safety of  
11 brexpiprazole. Results from the two fixed-dose  
12 trials, 283 and 213, demonstrated the superiority of  
13 brexpiprazole 2-and-3 milligrams a day compared with  
14 placebo in reducing symptoms of agitation. These  
15 results are supported by the flexible-dose study,  
16 284. Overall, these data demonstrate a positive  
17 benefit-risk for brexpiprazole in the treatment of  
18 agitation associated with Alzheimer's dementia when  
19 dosed 2-to-3 milligrams once daily.

20 The results of the phase 3 program show that  
21 brexpiprazole provides statistically significant and  
22 clinically meaningful improvements in key measures of

1 agitation when compared with placebo. The  
2 tolerability profile was favorable, particularly when  
3 compared with off-label therapies, and adverse events  
4 were consistent with those previously reported with  
5 brexpiprazole and generally observed in this patient  
6 population. Overall, this evidence indicates that  
7 brexpiprazole treatment could address a critical  
8 unmet need and provide substantial improvement  
9 relative to currently utilized off-label treatment  
10 options.

11 Here is our agenda for the rest of the  
12 presentation. Dr. Ismail will present unmet need;  
13 followed by Dr. McQuade to share efficacy; and  
14 Dr. Kraus will review the safety. Dr. Atri will  
15 finally provide his clinical perspective, and then I  
16 will return to summarize the benefit-risk of  
17 brexpiprazole and address your questions.

18 We also have some additional responders with  
19 us today to help with questions. All outside experts  
20 have been compensated for their time and travel to  
21 today's meeting. Thank you, and I will now turn the  
22 presentation to Dr. Ismail.

1                   **Applicant Presentation - Zahinoor Ismail**

2                   DR. ISMAIL: Thank you, and good morning.

3                   I'm Zahinoor Ismail, professor of psychiatry,  
4                   neurology, epidemiology, and pathology at the  
5                   Hotchkiss Brain Institute in Calgary. I've worked in  
6                   clinical trials for over 20 years, including multiple  
7                   antipsychotic trials, as well as AD trials. I've  
8                   been the site PI for several agitation in Alzheimer's  
9                   trials. I see agitation in the outpatient cognitive  
10                  neurology clinic and in seniors' homes and long-term,  
11                  which comprise a substantial part of my practice and  
12                  my research.

13                  I'm here to provide some background on  
14                  agitation associated with Alzheimer's dementia and  
15                  the urgent need for treatments for this growing  
16                  population. Alzheimer's dementia is highly prevalent  
17                  and expected to increase significantly in coming  
18                  decades, and as many of you know, Alzheimer's is the  
19                  most common form of dementia. There are  
20                  approximately 6.5 million Americans currently living  
21                  with Alzheimer's dementia, and by 2050, that number  
22                  is expected to double.



1           While cognitive impairment is a key feature  
2 of Alzheimer's dementia, about half these patients  
3 develop agitation. The International Psychogeriatric  
4 Association defines agitation in dementia as at least  
5 one behavior that causes distress and disability that  
6 persists for at least 2 weeks, including behaviors  
7 that can be characterized as excessive motor activity  
8 like pacing or rocking; verbal aggression such as  
9 screaming yelling, shouting, using profanity, arguing  
10 or rudeness; or physical aggression like grabbing,  
11 shoving, throwing, hitting, banging, destroying  
12 property, and physically resisting assistance.

13           The impact of agitation on this already  
14 devastating disease is significant for both the  
15 patient and their caregiver. For the patient,  
16 agitation is associated with accelerated disease  
17 progression, functional decline or quality of life,  
18 greater mortality, and institutionalization. For the  
19 caregiver, agitation is associated with depression  
20 and anxiety and greater burden of care. Caregivers  
21 spend over 20 hours per week providing care and  
22 assistance, potentially leading to burnout and,

1 again, patient institutionalization.

2 Treatment of agitation should follow an  
3 evidence-based approach. Treatment guidelines  
4 recommend the use of non-pharmacological strategies  
5 first; however, this is often infeasible with  
6 moderate to severe agitation, so pharmacotherapy is  
7 considered despite limited efficacy. Unfortunately,  
8 treatment is often initiated only after a clinical  
9 emergency, at which point the need is urgent. This  
10 delay is generally due to poor recognition of  
11 agitation and the lack of indicated treatments, with  
12 a consequent reluctance to treat agitation early.

13 Ultimately, the goal is to reduce agitation  
14 and fundamentally to calm without sedation; I repeat,  
15 to calm without sedation. As a field, we have  
16 conflated and confounded calmness and sedation, but  
17 family members see sedation as unnecessary and even  
18 punitive. Furthermore, sedation is associated with  
19 severe negative clinical outcomes.

20 I recently saw a patient who came from  
21 hospital, where she was treated with risperidone for  
22 AAD. She was Parkinsonized [ph] and grossly sedated

1 such that she didn't interact with her daughter.  
2 Both had poor quality of life as a result. Her  
3 daughter felt her mother had done a disservice and  
4 described her as zombified. Unfortunately, this is  
5 not uncommon.

6 Current pharmacological treatment options  
7 require us to balance risks and benefits. Despite  
8 the burden of agitation, we still do not have any  
9 approved medication for agitation in Alzheimer's  
10 dementia in the U.S. As a result, physicians and  
11 patients resort to off-label medications such as  
12 benzodiazepines, antihistamines, antidepressants,  
13 antiepileptics, and antipsychotics. However, these  
14 off-label medications show inconsistent, modest  
15 effects and carry several notable safety risks, such  
16 as sedation, extrapyramidal symptoms, falls, worsened  
17 cognitive performance, and cardiovascular and  
18 cerebrovascular events. In addition, because these  
19 are not approved for AAD, there is not clear labeling  
20 to guide their use.

21 To close, access to a well-documented  
22 medication that clearly communicates safety and

1 efficacy expectations in the product label remains an  
2 ongoing and serious unmet need in this patient  
3 population. In fact, I think this is amongst the  
4 most serious unmet needs. Adequate management of  
5 behavioral disturbances is essential to improve the  
6 health and safety of patients with agitation in  
7 Alzheimer dementia and to ease the burden of care  
8 borne by families and other caregivers.

9 Current care is limited to off-label  
10 medications that carry significant risks. Thus, a  
11 fundamental need exists for approved medications to  
12 treat agitation in Alzheimer's dementia without  
13 sedating patients or exacerbating the underlying  
14 symptoms; calmness without sedation.

15 Thank you. I will turn the presentation to  
16 Dr. McQuade to review the clinical data.

17 **Applicant Presentation - Robert McQuade**

18 DR. McQUADE: Thank you, Dr. Ismail.

19 Good morning. I'm Bob McQuade, executive  
20 vice president and chief strategic officer at Otsuka.  
21 This morning I will review efficacy results from the  
22 three phase 3 studies in agitation associated with

1 Alzheimer's dementia, which support the efficacy of  
2 brexpiprazole 2-and-3 milligrams. The program began  
3 with two essentially identical clinical studies,  
4 Study 283 using fixed doses of 1 or 2 milligrams and  
5 Study 284 with flexible dosing between 0.5 and  
6 2 milligrams. These studies support the efficacy of  
7 brexpiprazole 2-milligram dose and importantly  
8 demonstrate that doses of 1 milligram and lower are  
9 not effective.

10 Based on the results of these studies, and  
11 after conversations with FDA, we designed Study 213,  
12 whose results confirm the efficacy of both  
13 brexpiprazole 2- and 3-milligram doses. Given the  
14 chronology and similar design of Studies 283 and 284,  
15 I'll describe them together at first.

16 Studies 283 and 284 were designed based on  
17 feedback from the FDA at a pre-IND meeting and were  
18 conducted concurrently. Both were 12-week,  
19 double-blind, placebo-controlled studies. In  
20 fixed-dose Study 283, patients were randomized and  
21 titrated over a 4-week period to target doses of  
22 2 milligrams, or 1-milligram brexpiprazole, or

1 placebo. Study 284 was a flexible-dose study in  
2 which patients received either titrated doses of  
3 brexpiprazole or placebo. In this study,  
4 investigators could decide after 4 weeks to keep the  
5 patient at 1-milligram brexpiprazole or increase the  
6 dose to 2 milligrams.

7 Each study had a 30-day safety follow-up  
8 assessment. It is important to note that Study 283  
9 was also initiated with a dose group targeting  
10 0.5 milligrams, but that group was terminated early  
11 in the conduct of the study, and only 20 patients  
12 were randomized to this group. We will not be  
13 discussing the efficacy of this group in the  
14 remainder of this presentation, but the patients are  
15 included in the safety evaluation.

16 The primary endpoint for both studies was the  
17 mean change from baseline to week 12 in the  
18 Cohen-Mansfield Agitation Inventory or CMAI Total  
19 Score. The selection was agreed to at the pre-IND  
20 meeting in 2012. The key secondary endpoint was the  
21 mean change from baseline to week 12 on the Clinical  
22 Global Impression of Severity, or CGI-S score,

1 specifically as related to agitation.

2           The Cohen-Mansfield Agitation Inventory, or  
3 CMAI, is a well-established questionnaire that  
4 measures the frequency of 29 manifestations of  
5 agitated behaviors in elderly persons. It has been  
6 judged to be appropriate for this population and has  
7 become the scale of choice in Assessing agitation in  
8 clinical studies.

9           Based on factor analysis by Rabinowitz, et  
10 al., the agitation symptoms have been clustered into  
11 three key factors: namely, aggressive behavior,  
12 physical non-aggressive behavior, and verbal agitated  
13 behavior. Each behavior is rated on a 7-point scale  
14 of frequency, with higher ratings corresponding to  
15 higher frequency of the agitated behavior. The  
16 ratings pertain to the 2 weeks preceding  
17 administration of the CMAI. The observations are  
18 communicated by the caregiver and scored by a  
19 qualified and certified clinician. It is important  
20 to note that a score of 1 on any behavior represents  
21 absence of that behavior; thus, the lowest score  
22 possible, which represents the absence of all

1 agitated behaviors, is 29, and the highest possible  
2 score is 203, which would be equivalent to every  
3 symptom occurring several times an hour.

4 The CMAI is a behavioral inventory where  
5 reductions from higher initial scores may be more  
6 meaningful than reductions from lower initial scores.  
7 For example, a 2-point drop from a baseline score of  
8 6 means a behavior occurring several times a day has  
9 improved to several times a week. Conversely, a  
10 2-point drop from a baseline score of 3 means a  
11 behavior occurring once or twice a week improves to  
12 not occurring at all.

13 Turning to key enrollment criteria, the  
14 studies enrolled patients 55-to-90 years of age who  
15 had a diagnosis of Alzheimer's disease. At screening  
16 and baseline visits, participants had to have a  
17 Mini-Mental State Examination score of 5 to 22 and a  
18 total score of at least 4 on the agitation aggression  
19 item of the Neuropsychiatric Inventory. Patients  
20 were excluded if they had dementia or memory  
21 impairment not due to Alzheimer's dementia: a  
22 history of stroke or pulmonary or cerebral embolism;



1 delirium; or exhibited a serious risk of suicide.

2 Key demographic characteristics were similar  
3 and generally balanced across the two studies and the  
4 brexpiprazole and placebo groups for each study. The  
5 mean age was 74, and the majority of participants  
6 were female and white. Across the two studies,  
7 approximately 3-to-4 percent of patients were black  
8 or African American, but black or African American  
9 patients constituted about 10-to-15 percent of the  
10 U.S. study population, which is consistent with the  
11 proportion of blacks and African Americans with  
12 Alzheimer's disease in the U.S.

13 Both studies were representative of patients  
14 with agitation associated with Alzheimer's dementia,  
15 and both enrolled patients with similar baseline  
16 disease characteristics. CMAI total scores ranged  
17 from 68.5 to 72, and CGI severity scores for  
18 agitation were an average of 4.5 across arms, which  
19 represented moderate to markedly severe agitation.  
20 Most patients' Alzheimer's dementia was classified as  
21 moderate to severe and with a relatively even  
22 distribution of institutionalized versus

1 community-based patients.

2 Completion rates in both studies were similar  
3 between brexpiprazole and placebo, ranging from  
4 87-to-89 percent. The two most frequently reported  
5 reasons for discontinuation were adverse events,  
6 about 4-to-6.5 percent of patients, and withdrawal of  
7 consent, about 4 percent of patients.

8 Let's now turn to the primary endpoint  
9 results. Study 283 met the primary endpoint and  
10 demonstrated that brexpiprazole 2 milligrams daily  
11 was statistically superior to placebo, for the mean  
12 change in CMAI total score from baseline to week 12,  
13 while the 1-milligram dose showed no separation from  
14 placebo.

15 Separation from placebo started to emerge  
16 after patients began receiving the 2-milligram dose  
17 week 4. On average, patients exhibited a baseline  
18 score of 70, and an average 21.6-point improvement  
19 from baseline was seen by week 12, representing, a  
20 51 percent improvement from baseline. Separation  
21 from placebo was about minus 3.8. Thus, Study 283  
22 strongly supported brexpiprazole 2 milligrams as the

1 minimum efficacious dose in agitation associated with  
2 Alzheimer's dementia.

3 In Study 284, brexpiprazole  
4 0.5-to-2 milligrams per day group did not achieve  
5 statistical significance on the primary endpoint.  
6 Improvement from baseline was about minus 18.9, but  
7 separation from placebo was only minus 2.3. However,  
8 given the dose-dependent results in 283, and the fact  
9 that many patients did not achieve the 2-milligram  
10 dose in Study 284, we performed a post hoc analysis  
11 of the 284 data based on dose.

12 This post hoc analysis of the subgroup of  
13 patients in Study 284, who were uptitrated to  
14 brexpiprazole 2 milligrams or to equivalent placebo,  
15 demonstrated improvements for the primary endpoint  
16 compared with placebo, with a nominal p-value of  
17 0.012. Again, separation from placebo emerged after  
18 patients began receiving the 2-milligram dose. This  
19 subgroup represented approximately 57 percent of the  
20 overall patients in Study 284. This post hoc  
21 analysis further supports brexpiprazole 2 milligrams  
22 as a minimum efficacious dose in AAD. I'll now move

1 on to the key secondary endpoint.

2 In Study 283, a numerically greater  
3 improvement in the mean change in CGIS score as  
4 related to agitation, from baseline to week 12, was  
5 also observed for the 2-milligram dose, but the  
6 treatment difference did not reach statistical  
7 significance. Study 284 also showed further  
8 improvement compared to placebo, reaching a nominal  
9 p-value of 0.016.

10 Study 283 met its primary endpoint, but  
11 Study 284 did not. Thus, the sponsor believed that a  
12 second positive pivotal study would be needed for  
13 potential approval. Overall, results of Studies 283  
14 and 284 demonstrated efficacy of brex 2 milligrams  
15 but not 1 milligram or less, and thereby identified  
16 2 milligrams as the minimally effective dose.

17 Following review of Studies 283 and 284, we  
18 examined factors that might have influenced the  
19 efficacy results; in particular as the baseline  
20 agitation frequency as represented by the CMAI total  
21 score. It was our belief that the MPI score of 4 or  
22 greater may have resulted in enrollment of a number

1 of patients with insufficient agitation at baseline.

2 This was also a hypothesis discussed by the FDA.

3 As a result, we looked to see whether  
4 patients had sufficient baseline agitation. We  
5 focused on those symptoms that were more prominent  
6 and which were more impactful on patient-caregiver  
7 quality of life, including physically and verbally  
8 aggressive behaviors. These behaviors constitute the  
9 CMAI Factor 1 for aggressive behaviors as shown  
10 earlier in this presentation.

11 Eighty-six percent of patients in both  
12 studies met the criteria for Factor 1, and these  
13 patients had a higher baseline frequency than those  
14 who did not meet the criteria for Factor 1. Patients  
15 meeting Factor 1 criteria in Study 283 showed an  
16 average baseline CMAI score of about 73, while those  
17 who did not meet Factor 1 criteria showed an average  
18 baseline score of about 57. Of note, the majority of  
19 patients meeting criteria for Factor 1 also showed  
20 higher frequency of agitated behaviors belonging to  
21 Factors 2 and 3. In addition, the patients that met  
22 Factor 1 criteria achieved a greater treatment effect

1 with brexpiprazole.

2 To understand the impact of higher baseline  
3 agitation, the sponsor and the FDA aligned that  
4 patients with more prominent agitated behaviors  
5 should be recruited in the future AAD trials to  
6 discern change within a 12-week clinical trial.  
7 Thus, we define this Factor 1 enriched population to  
8 target in our third study.

9 With this background, let me turn to our  
10 third study, Study 213, which incorporates the  
11 learnings of the prior two studies. Study 213 was a  
12 phase 3, 12-week, double-blind, placebo-controlled,  
13 2-armed, fixed-dose study with a 30-day safety  
14 follow-up. Study 213 was similar to Study 283 with a  
15 few exceptions.

16 Based on the prior results, we included the  
17 2-milligram dose as the minimally effective dose, and  
18 based on feedback from the FDA, we also include a  
19 3-milligram dose to test a higher dose, as well as a  
20 somewhat more rapid titration schedule. The purpose  
21 of the 3-milligram dose was to ensure its safety and  
22 tolerability profile, as that dose is often used by

1 clinicians in the treatment of schizophrenia and  
2 major depressive disorder. It was also agreed with  
3 the agency that we would combine the two doses for  
4 our primary and secondary analyses versus placebo.

5 To ensure enrollment of sufficiently agitated  
6 patients, patients enrolled in Study 213 had to meet  
7 the same eligibility criteria as the first two  
8 trials, with two notable differences, highlighted  
9 here in blue, as agreed to by the FDA. Firstly, the  
10 diagnosis of agitation needed to meet the IPA  
11 provisional definition, which had not been available  
12 at the time of the first two studies. Secondly, all  
13 patients needed to meet the criteria for Factor 1 at  
14 baseline. These changes helped ensure an enriched  
15 population with prominent and frequent agitated  
16 behaviors at baseline.

17 The primary and key secondary endpoints were  
18 the same as Studies 283 and 284. The demographics  
19 were consistent across treatment arms and similar to  
20 the prior two studies. The mean age was about 74.  
21 Again, the majority of patients were female and  
22 white. Roughly 4 percent of patients were black or

1 African American, which represented about 8 percent  
2 of the patients randomized in the U.S.

3 Disease characteristics in Study 213 were  
4 similar to the prior studies, with the exception of  
5 the baseline scores for CMAI. As a result of the  
6 implemented enrichment criteria, the average CMAI  
7 total score was about 80, relative to 70 in the  
8 earlier studies, and represented a patient with  
9 generally markedly severe symptoms at baseline.  
10 Similar to Studies 283 and 284, most patients  
11 completed the study, and the main reasons for  
12 discontinuation in both treatment groups were adverse  
13 events and patient withdrawal of consent at about  
14 5 percent and 4 percent, respectively.

15 Turning now to endpoint results, Study 213  
16 met the primary endpoint. Treatment with  
17 brexpiprazole 2-and-3 milligrams showed statistically  
18 significant improvement in the mean change and CMAI  
19 total score from baseline to week 12 compared to  
20 placebo. Separation between the two groups began at  
21 week 6 and increased towards week 12. The  
22 improvement in CMAI total score was minus 22.6 and



1 the effect size versus placebo was minus 5.32 in this  
2 study.

3 The improvement in the CMAI scores were also  
4 reflected in the clinician's clinical judgment of  
5 severity. Treatment with brexpiprazole  
6 2-and-3 milligrams per day showed statistically  
7 significant improvement compared with placebo in the  
8 key secondary efficacy endpoint, mean change in CGIS  
9 score as related to agitation from baseline to  
10 week 12. The difference between treatment groups  
11 emerged between week 6 and 8 and exhibited p-values  
12 less than 0.01 at weeks 8 through 12.

13 When we look at the primary endpoint results  
14 by dose, we see that both brexpiprazole  
15 2-and-3 milligrams separated from placebo at weeks 8  
16 to 12, and the change from baseline was virtually  
17 identical. Clearly, these data indicate that both  
18 2-and-3 milligrams produce clinically meaningful  
19 improvement in symptoms of agitation. Furthermore,  
20 brexpiprazole-treated patients demonstrated  
21 improvements across the three CMAI subscales as  
22 defined earlier in the factor structure. This is

1 important, as it shows that even though the patient  
2 population was enriched for aggressive behaviors at  
3 baseline, improvements in symptoms were observed  
4 across the aggressive, physically non-aggressive, and  
5 verbally agitated behaviors with nominal p-values  
6 less than 0.05.

7 We also see a difference in the percentage of  
8 patients achieving meaningful reductions in CMAI  
9 scores of 20, 30, and 40 percent. Nearly 70 percent  
10 of brexpiprazole achieved a 20 percent CMAI response  
11 reduction, and more than 20 percent of patients  
12 achieved a 40 percent CMAI reduction. The ratio of  
13 response rate ranged between 1.41 and 1.62.

14 There is a strong correlation between  
15 improvements in frequency of symptoms and  
16 improvements in severity, the CMAI total score and  
17 CGIS, respectively. Using methods advised by the  
18 FDA, we defined the meaningful within-patient change  
19 threshold as a 20-point reduction in the CMAI total  
20 score from baseline, which is correlated to a  
21 clinically meaningful 2-point improvement in CGIS.  
22 When we employ this meaningful within-patient

1 threshold to Study 213, 56 percent of patients  
2 treated with brexpiprazole 2-and-3 milligrams met the  
3 threshold as compared to 37 percent of patients  
4 receiving placebo. This represents a ratio of  
5 response rate of 1.51.

6 Finally, I want to turn to the data collected  
7 in the extension trial that followed the 12-week  
8 blinded treatment in Study 213. Patients from  
9 Study 213 were enrolled in a 3-month extension trial,  
10 Study 182, in which all patients received  
11 brexpiprazole. The data from Trial 182 demonstrate  
12 continued improvements in CMAI in both the group  
13 previously treated with brexpiprazole and the group  
14 previously treated with placebo. Both groups of  
15 patients exhibited further improvement from baseline,  
16 which was defined as their final CMAI score from  
17 Study 213.

18 Larger improvements were observed in patients  
19 previously treated with placebo, catching up with the  
20 improvements seen in the patients previously treated  
21 with brexpiprazole. While fully recognizing that  
22 this extension study is open-label and that all

1 patients are being treated with brexpiprazole, the  
2 added benefits observed in patients who have already  
3 been treated with placebo for 12 weeks further  
4 supports the efficacy of brexpiprazole in this  
5 patient population. In addition, patients previously  
6 treated with brexpiprazole show continued benefit for  
7 up to 24 weeks of treatment.

8 In summary, brexpiprazole 2-and-3 milligrams  
9 demonstrated statistically significant and clinically  
10 meaningful improvement in two randomized, placebo-  
11 controlled clinical trials for the primary endpoint  
12 of change in CMAI total score from baseline to  
13 week 12. The bolded text indicates p-values less  
14 than 0.05, and the data correlate to a Cohen's d  
15 effect size of 0.25 to 0.35.

16 In addition, Studies 284 and 182 provided  
17 supportive data for 2 milligrams being the minimal  
18 effective dose, and for benefits out to 24 weeks of  
19 treatment, respectively. In totality, the data  
20 across all of the studies support consistent benefit  
21 on symptoms of agitation in AD. These results  
22 support a meaningful benefit in patients with

1 agitation associated with Alzheimer's dementia and  
2 address a significant unmet medical need in the  
3 community.

4 Let me now ask Dr. Kraus to present the  
5 safety data.

6 **Applicant Presentation - John Kraus**

7 DR. KRAUS: Thank you, Dr. McQuade.

8 I'm John Kraus, executive vice president and  
9 chief medical officer at Otsuka. Today I'll share  
10 the safety data in patients with AAD. Our safety  
11 population comes from our three phase 3 studies, 283,  
12 284, and 213. We also have data from our extension  
13 study for treatment with brexpiprazole for up to  
14 24 weeks with no new unexpected safety events. Let's  
15 start with the overall safety profile.

16 Overall, the safety profile across all  
17 brexpiprazole dose groups was comparable to placebo,  
18 demonstrating that in patients with AAD, treatment  
19 with brexpiprazole once daily was generally safe and  
20 well tolerated, consistent with its established  
21 safety profile. The incidence of adverse events was  
22 comparable between brexpiprazole fixed dosage groups

1 and placebo, with half of patients experiencing an  
2 adverse event.

3 AEs leading to discontinuation and serious  
4 adverse events were also similar. The deaths in the  
5 brexpiprazole 2 milligram, 3 milligram, and placebo  
6 groups were one patient each. None of these deaths  
7 were considered related to the study drug by the  
8 investigator. The most commonly reported adverse  
9 events occurring in at least 2 percent of patients  
10 were generally consistent with placebo and with the  
11 known safety profile of brexpiprazole.

12 Turning to serious adverse events, overall,  
13 serious adverse events were low and comparable to the  
14 brexpiprazole 2-to-3 milligrams group and placebo.  
15 The nature of these events is consistent with what  
16 would be expected in this elderly population.

17 Identified safety topics of special interest included  
18 orthostatic hypotension; extrapyramidal symptoms;  
19 somnolence; cardiovascular events; cerebrovascular  
20 events; and falls. Certain antipsychotics in this  
21 patient population are expected to lead to a greater  
22 likelihood of experiencing these adverse events.

1 Overall, these events were generally balanced  
2 across the treatment arms. This patient population  
3 of advanced age is already at increased risk of  
4 underlying cardio and cerebrovascular disease, as  
5 well as injuries due to falls, so seeing similar  
6 rates of events with placebo in this population is  
7 important. There is also no worsening in cognition  
8 in these patients with Alzheimer's dementia, as  
9 evaluated by the Mini-Mental State Examination, or  
10 MMSE, change from baseline compared to placebo.

11 Turning now to deaths, while there were  
12 numerically more deaths in the all brexpiprazole  
13 group, it is important to recognize that the  
14 mortality rate observed in the brexpiprazole AAD  
15 program was low, and is lower than rates reported in  
16 meta-analyses for other antipsychotic medications.  
17 This includes the FDA meta-analysis, where the  
18 mortality rate on treatment was above 4 percent.  
19 Other meta-analyses have reported lower rates, but  
20 these were still 3-to-4 fold higher than that seen  
21 with brexpiprazole.

22 We do understand that FDA's methodology for

1 assessing deaths in the brexpiprazole AAD program  
2 differed from our predefined analysis plan.  
3 Regardless of the methodology, deaths were low across  
4 the program. When looking by product, we see that,  
5 historically, all other antipsychotics have reported  
6 greater mortality rates in their programs as compared  
7 to brexpiprazole.

8 Let me guide you through each of the deaths  
9 in the brexpiprazole program, showing that there is  
10 no pattern or common etiology in the cause of death.  
11 As we are dealing with an elderly population, we need  
12 to consider confounding by underlying conditions and  
13 other factors that increase the mortality risk, such  
14 as advanced age, comorbidities, and concomitant  
15 medications consistent with the AAD population.  
16 These deaths align with expectations for an elderly  
17 population.

18 Per our safety analysis plan, events leading  
19 to deaths were captured during the study period and  
20 up to 30 days after study completion. All deaths  
21 occurred at least 30 days after beginning study drug  
22 administration, suggesting that there were no deaths



1 associated with acute onset of treatment. We first  
2 should consider that brexpiprazole is washed out and  
3 fully eliminated from the body in approximately  
4 18-to-19 days, and events occurring beyond 3 weeks  
5 are confounded by potential changes in treatment and  
6 limitations of data collection. This is why we use  
7 30 days for our safety cutoff. Three of the events  
8 occurred more than 3 weeks off therapy, one of which  
9 airway obstruction occurred 67 days after stopping  
10 brexpiprazole.

11 To provide some additional context, I will  
12 briefly review the deaths related to events occurring  
13 within the study period plus 30 days, as listed on  
14 this slide. Two deaths occurred on 0.5 milligram  
15 treatment; two on 1 milligram treatment, and one each  
16 on brexpiprazole 2-and-3 milligrams. There was no  
17 pattern in terms of study drug exposure duration or  
18 time since last dose prior to death.

19 All patients had comorbid medical disorders,  
20 which included hypertension; atherosclerosis;  
21 ischemic heart disease; heart failure; chronic  
22 obstructive pulmonary disease; carotid artery

1 stenosis; and type 2 diabetes, and were thus treated  
2 with concomitant medications. The events leading to  
3 death are generally consistent with those expected in  
4 an elderly population with Alzheimer's disease.

5 Narratives are included in the briefing  
6 document, but to summarize, the brexpiprazole cases  
7 included a fall, secondary through the patient's  
8 claim of being pushed, with subsequent treatment with  
9 clopidogrel, as a myocardial infarction was being  
10 ruled out; 22 days after the last dose of study  
11 medication, the patient was found unresponsive.

12 A CT scan revealed left-sided intracranial  
13 hemorrhage. The patient died 5 days later, a fatal  
14 event of acute purulent meningoencephalitis, which  
15 had been preceded by pneumonia and signs of heart  
16 failure 2 days after stopping study medication. The  
17 patient rapidly deteriorated from these conditions  
18 and died 52 days after first initiating study  
19 medication.

20 Aspiration pneumonia developing 65 days after  
21 initiating study medication, which was then stopped,  
22 with subsequent fever, agitation, confusion, and

1 hypoxic respiratory failure. The patient was  
2 transferred to hospice care and died 78 days after  
3 starting study medication and 13 days after the last  
4 dose.

5           Cardiopulmonary arrest, secondary to airway  
6 obstruction, by choking on an orange 25 days after  
7 the last dose of study medication. Although  
8 resuscitated, the patient remained comatose on  
9 mechanical ventilation, ultimately suffering a  
10 cardiac arrest and dying 42 days later.

11           End-stage Alzheimer's dementia with hospice  
12 care initiated 5 days after the last dose of  
13 medication, and death occurring 9 days after the last  
14 dose; and finally, heart failure with death occurring  
15 23 days after the last dose of study medication,  
16 which had been preceded by muscle weakness,  
17 pneumonia, and cachexia.

18           There were two additional deaths not  
19 included. One patient in Study 284 died 2 days after  
20 the 30-day, protocol-specified safety follow-up  
21 period from vascular encephalopathy and brain edema,  
22 and one patient in Study 284 who died from pancreatic

1 cancer more than 100 days after the last dose. In no  
2 instance did the investigator assess treatment as  
3 being related to any of these deaths. Importantly,  
4 there were no further deaths among patients who  
5 entered the open-label study who were all on  
6 brexpiprazole treatment for up to an additional  
7 12 weeks. As you can see, each case is confounded by  
8 potentially contributing factors outside of the  
9 assigned treatment, yet the overall rate is less than  
10 1 percent.

11 Turning now to long-term safety, 259 patients  
12 who completed the 12-week study period in Study 213  
13 rolled over into the active treatment extension  
14 study, 182, and received treatment with brexpiprazole  
15 for up to 12 additional weeks. Of these  
16 259 patients, 163 patients receiving brexpiprazole in  
17 the double-blind study continued on treatment for a  
18 total duration of up to 24 weeks. Brexpiprazole was  
19 safe and well tolerated for long-term use up to 24  
20 weeks. There were no unexpected safety events, and  
21 as previously stated, no mortalities were observed in  
22 the extension period. Overall, the safety profile

1 was similar to that observed in the double-blind,  
2 placebo-controlled studies.

3 In conclusion, brexpiprazole 2 milligrams and  
4 3 milligrams daily was safe and well tolerated in the  
5 extensive safety database among patients with AAD.  
6 Adverse events span a wide variety of system organ  
7 classes, and the safety profile of brexpiprazole was  
8 consistent with that from prior clinical experience  
9 among other indications, with high tolerability and  
10 low rates of patient discontinuation.

11 Additionally, there was less than 1 percent  
12 of patient deaths on treatment, with no pattern of  
13 time after first administration or time since the  
14 last dose, no consistent cause of death, and no  
15 deaths considered by the investigator as being  
16 related to treatment. Overall, brexpiprazole has  
17 demonstrated a favorable safety and tolerability  
18 profile in patients with agitation in Alzheimer's  
19 dementia, consistent with its use in other approved  
20 indications.

21 Thank you. I will now invite Dr. Atri to  
22 share his clinical perspective.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Applicant Presentation - Alireza Atri**

DR. ATRI: Thank you and good morning. I'm Alireza Atri. It's a pleasure to be with you here today to provide a clinical perspective on the data we've seen. First, I'd like to introduce myself. I'm a cognitive neurologist, and I'm the director of the Banner Sun Health Research Institute in Sun City, Arizona. I also serve as associate director of the NIA P30 funded multi-institutional Arizona Alzheimer's Disease Research Center, where I also direct the clinical core and co-direct the biomarker core of ADRC. As part of my clinical practice, I care for patients and families with Alzheimer's disease and related disorders.

Agitation worsens the impact of an already devastating and burdensome disease, and as described by Dr. Ismail, there's a dire need for approved and safe therapeutic options. I believe that brexpiprazole is a welcomed and much needed option that could provide clinically meaningful benefits for some patients and families, benefits that I believe will translate to better real-world effectiveness

1 than current off-label treatments due to  
2 brexpiprazole's overall favorable benefit-risk  
3 profile.

4           During the course of their illness,  
5 particularly more advanced stages, many patients will  
6 suffer from severe agitation behaviors that will be  
7 refractory to environmental or behavioral  
8 interventions or they are severe enough to warrant  
9 substantial safety concerns. These agitated  
10 behaviors negatively impact the quality of life and  
11 the health and well-being of both patients and  
12 caregivers. This is what I refer to as the dyads.  
13 They negatively impact the patient's ability to  
14 receive care and make caregiving even more difficult  
15 and burdensome and, unfortunately, our current  
16 off-label options are highly problematic with an  
17 evidence base that's lacking.

18           Their limited clinical benefit potential must  
19 be balanced against real issues with tolerability and  
20 serious side effects, including excessive sedation,  
21 falls, Parkinsonism, or increased kinds of  
22 impairment. This creates a major damned if you do,

1       damned if you don't quandary, while sitting on a  
2       knife edge, and often leads to what I call a  
3       pharmacological and clinical yo-yo and a chasing of  
4       our tails. Simply put, we need better options with  
5       potential efficacy but that also adhere to the first  
6       tenet of medicine, "Above all do no harm."

7               So let me provide a few examples of this  
8       clinical yo-yo that we face every day. These are  
9       both two patients that I cared for. They were both  
10      in the moderate to severe stages of Alzheimer's  
11      disease dementia and were on background treatment  
12      with approved AD medications, cholinesterase  
13      inhibitors and memantine. We had tried extensive  
14      behavioral and environmental approaches in our  
15      attempts to mitigate their escalating condition.

16             One of my patients, he was a 62-year-old  
17      gentleman. He was physically healthy, 6 foot 2,  
18      220 pounds, very fit. He had early onset AD and had  
19      significant receptive aphasia. He would constantly  
20      hum, pace; he had separation anxiety. These were  
21      manageable by his wife at the time. She was the sole  
22      caregiver. His lifelong personality and demeanor was



1 described as being a very likable and gentle giant.

2 He developed these symptoms that initially  
3 occurred monthly but ultimately increased to weekly.  
4 These were unprovoked episodes of glaring and fury at  
5 her. In one instance, he held his 110-pound wife  
6 immobile against the wall for about 20 to 30 seconds.  
7 At another time, he tried to grab and hold her, but in  
8 doing so, ended up actually pushing her over the  
9 couch, and she fell.

10 He was treated with risperidone, but became  
11 too sedated and Parkinsonian. His wife couldn't  
12 transfer him to the bathroom. We went through this  
13 yo-yo where we pulled back and pulled down on the  
14 risperidone dose, we had re-emergence of the  
15 episodes, then back up again causing excessive  
16 Parkinsonism, and ultimately this led to his wife  
17 having to prematurely place him in a small group  
18 home.

19 Upon admission, the caregivers at the group  
20 home were aware and initially accepting, and could  
21 cope with the approximately weekly episodes. But  
22 once the frequency increased and involved multiple

1       caregivers, they became much less tolerant and  
2       couldn't cope. They stated that they couldn't manage  
3       and insisted that he be kept almost continuously  
4       sedated. He went from walking and talking in his  
5       home to being sedated and completely bedbound. He  
6       became dehydrated, aspirated, and died within a few  
7       months.

8               I also cared for a 56-year-old woman with  
9       early onset AD. She had very well preserved language  
10       function but substantial visuospatial and praxis  
11       difficulties. She was ambulatory, but over months  
12       became increasingly resistant to receiving care for  
13       hygiene. She would hit family members and  
14       caregivers. She would cry and scream every time they  
15       approached her to provide this care. She developed  
16       skin breakdown and infections, including UTIs. She  
17       was given benzodiazepine by a primary care clinician,  
18       became too sedated, developed hypernatremia,  
19       aspirated, was hospitalized, and given  
20       antipsychotics. This led to a fall and a fracture,  
21       and she went on to have a stroke.

22               It is important to remember that the pattern

1 of symptoms and behaviors are really different for  
2 each patient, and not all agitated behaviors will be  
3 present in a specific patient, and also that the  
4 impact of any behavior will really be different based  
5 on the individual characteristics of the dyad. So  
6 when I evaluate agitation aggression in my patients,  
7 the first thing I assess is what is the acuity and  
8 the impact of the overall clinical situation, and  
9 what factors could be triggering or exacerbating it,  
10 and how could these be amenable to effective  
11 interventions in ways that are most practical, and  
12 least burdensome, and least risky? Then I dig  
13 deeper, and I evaluate the frequency, the severity,  
14 the duration, the timing, the triggers, and the  
15 impact of the most relevant or distressing behaviors  
16 for the given dyad.

17 The CMAI and the CGI are very structured  
18 instruments. They're not often used in clinical  
19 practice; however, the overall approach, the process,  
20 and the content used in these scales are pretty  
21 standard to clinical practices and are often  
22 implemented in a more holistic way and a less

1 structured way by clinicians. I use a process  
2 similar to the CGI to first assess the overall  
3 impact, and one similar to the CMAI to assess the  
4 frequency of the most problematic behaviors.

5           When considering any potential intervention,  
6 I first consider the risk and burden. I ask myself,  
7 "Is this likely to hurt my patient?" Then I consider  
8 the potential benefits, asking myself, "Could this  
9 meaningfully help my patient?" Then we engage in a  
10 risk-benefit conversation that is dyad specific, and  
11 it uses a patient-centered and shared decision-making  
12 framework that discusses realistic expectations and  
13 the uncertainties regarding risks, benefits, side  
14 effects, alternatives and trade-offs, and how we  
15 would measure and monitor or adjust the interventions  
16 as time goes on.

17           So how would I envision the potential impact  
18 of brexpiprazole in my patients? Well, I link the  
19 brexpiprazole results for efficacy similar to a  
20 20-point within-patient reduction in the mean CMAI  
21 achieved for some patients in the study -- so the  
22 meaningful improvements in the CGI have about

1       2 points -- and I think about the potential impact  
2       that could have on preventing some of my dyads from  
3       going into a downward spiral and a clinical and  
4       psychosocial tipping point.

5               For the two patients that I just described,  
6       reducing the frequency, severity, duration, or  
7       diffusability of the most troubling and volatile  
8       symptoms, evaluated as a global impression of change,  
9       and improving this by even one CGI point could have  
10      meant the difference between their caregivers being  
11      able to cope/manage them safely, or as it turned out,  
12      not.

13             It would have made a critical difference if  
14      for the first patient I described, the glaring and  
15      grabbing episodes could have been reduced from, let's  
16      say, weekly or bi-weekly to keep him at home, or if  
17      the episodes would have been shorter lived, less  
18      intense, or easier to diffuse, or for the group home,  
19      they would have just remained at about the same  
20      weekly frequency.

21             For my second patient, if the resistiveness,  
22      combativeness, hitting, scratching, screaming when

1       approached for hygiene, and later which escalates to  
2       include medications, food, and water when she was  
3       more confused; if these agitated behaviors didn't  
4       occur multiple times a day and almost every time when  
5       she was approached, but allowed for just even once or  
6       twice daily when she could be properly cleaned and  
7       changed, hydrated, fed.

8               For both of these patients and many like  
9       them, if we can achieve the calming without  
10       oversedation, the Parkinsonism and cognitive  
11       suppression, allowing for more positive interactions,  
12       better care, and treatment of co-morbid conditions,  
13       and avoidance of the dehydration and malnutrition,  
14       and can reduce, even modestly, the frequency and the  
15       impact of the most problematic behaviors, I think we  
16       would over weeks and months be able to achieve  
17       cumulative and very meaningful benefits for some  
18       dyads, to decrease their burden, and their distress,  
19       and their burnouts, and to keep everyone farther away  
20       from a devastating tipping point.

21               In summary, brexpiprazole is a treatment  
22       option we need to improve care for patients and

1 families impacted by AAD. I believe the totality of  
2 evidence demonstrates consistent efficacy across  
3 multiple measures of agitated behaviors, and I  
4 believe it supports a better tolerability profile  
5 than current options.

6 I overall would regard the study efficacy  
7 results as moderate as reflected by the between  
8 group's Cohen's d standardized effect size point  
9 estimates that range between 0.25 and 0.35. I also  
10 view these data and differences to be clinically  
11 meaningful and beneficial, especially on an  
12 individual level when I consider the potential for  
13 the substantial benefits that were observed within  
14 patient changes, as reflected by a 50 percent greater  
15 likelihood that any given patient may benefit from a  
16 large 2-point CGI improvement.

17 I believe the tolerability and safety profile  
18 of brexpiprazole would allow patients to remain on  
19 the treatment sufficiently long enough to have the  
20 opportunity to receive benefits. It has a low  
21 incidence of severe and serious AEs and a low risk  
22 for sedation, conscious suppression, Parkinsonism,

1 and falls. I think, importantly, many treating  
2 clinicians may have experienced or can rely on a  
3 well-known tolerability and safety profile for  
4 brexpiprazole. The tolerability and safety profile,  
5 along with a favorable risk-benefit profile, I think  
6 would give me confidence to be able to recommend  
7 brexpiprazole to my patient and caregiver dyads as a  
8 treatment option in appropriately selected patients.

9 We desperately need to stop solely relying on  
10 off-label treatment options. Our field very much  
11 needs FDA-approved products that are favorable and  
12 well-defined efficacy and safety profiles and that  
13 have clear dosing directions and define populations  
14 for appropriate use. I don't consider brexpiprazole  
15 as a cure or a magic bullet for AAD, and I would not  
16 provide this expectation to my patients and families,  
17 but I do believe it offers a much needed viable and  
18 safe option where there remains a significant unmet  
19 need, helping many patients and dyads to cool down to  
20 below their boiling point and before reaching a  
21 tipping point.

22 On a personal level, I lived through



1 agitation aggression in AD dementia with my father  
2 and with its impact and consequences. It was one of  
3 the hardest, if not the hardest, thing I've had to go  
4 through. And even as a dementia subspecialist, I  
5 found myself in a quandary and a no-win situation  
6 without good options, and I felt despondent and  
7 powerless against it.

8 So overall, I would greatly welcome the  
9 opportunity to add brexpiprazole to my treatment  
10 armamentarium for agitation related to AD, and I  
11 believe that many of my colleagues, patients, and  
12 families would also feel similarly, and would very  
13 much want to have a choice in this option. Thank you  
14 very much for your attention. Let me return the  
15 lectern back to Dr. Hobart.

16 **Applicant Presentation - Mary Hobart**

17 DR. HOBART: Thank you, Dr. Atri.

18 Let me close with a summary of  
19 brexpiprazole's favorable benefit-risk profile.  
20 Across the clinical program, brexpiprazole showed  
21 substantial evidence of efficacy in multiple measures  
22 of agitation where non-pharmacological measures had

1 failed. Efficacy was demonstrated across the three  
2 main factors on the CMAI scale, and importantly,  
3 these results were clinically meaningful.

4 The safety profile of brexpiprazole in AAD is  
5 consistent with the known safety profile of the  
6 product in other indications, and as shown in our  
7 extension study, prolonged use of brexpiprazole was  
8 well tolerated with no new safety events identified.  
9 The mortality rate was low despite the higher number  
10 of deaths on brexpiprazole compared to placebo, and  
11 importantly, there were no apparent relationships  
12 between exposure to brexpiprazole and increased  
13 mortality.

14 Brexpiprazole addresses a high unmet medical  
15 need, and could be the first FDA-approved treatment  
16 for agitation in Alzheimer dementia. This would be  
17 the first time clinicians would have data to make  
18 informed choices in a high-risk patient population  
19 with limited options. We look forward to working  
20 with the FDA to provide labeling that will guide  
21 prescribers on the appropriate use of brexpiprazole  
22 in elderly patients with dementia.

1 Thank you for your time, and we would now be  
2 happy to address your questions.

3 **Clarifying Questions to Applicant**

4 DR. NARENDRAN: We will now take clarifying  
5 questions for Otsuka Pharmaceutical Company. Please  
6 use the raise-hand icon to indicate that you have a  
7 question, and remember to lower your hand by clicking  
8 the raise-hand icon again after you have asked your  
9 question. When acknowledged, please remember to  
10 state your name for the record before you speak and  
11 direct your question to a specific presenter, if you  
12 can. If you wish for a specific slide to be  
13 displayed, please let us know the slide you wish and  
14 the slide number, if possible.

15 Finally, it would be helpful to acknowledge  
16 at the end of your question a thank you and end of  
17 your follow-up questions as well, so we can move on  
18 to the next panel member.

19 Our first question is from Dr. Apostolova.

20 DR. APOSTOLOVA: Hi. Liana Apostolova,  
21 Indiana University. This question is probably for  
22 Dr. McQuade.

1           Besides measuring agitation severity  
2 frequency, did you have any quality of life and  
3 caregiver burden measures in the trials?

4           DR. HOBART: Thank you.

5           Dr. McQuade?

6           DR. McQUADE: Thank you. In the first two  
7 studies, we did have some caregiver evaluations. The  
8 data were not particularly compelling. In the third  
9 study, we decided to remove those in an attempt to  
10 reduce placebo responding. Independent of the  
11 studies, our quality group, our health economics and  
12 outcomes research group, did do a separate survey  
13 study, and I'll ask my colleague to present the  
14 results to you to further address your question.

15          DR. APOSTOLOVA: Thank you.

16          MS. AGGARWAL: Good morning. Jyoti Aggarwal,  
17 director of Global Value Real-World Evidence at  
18 Otsuka. What we conducted was a real-world study  
19 that was intended to look at the relationship between  
20 the CMAI total score and caregiver outcomes.  
21 Specifically, we looked at the relationship between  
22 CMAI total score and the burden interview, as well as

1 the PHQ-4, to evaluate the relationship between CMAI  
2 total score and both the likelihood of depression and  
3 generalized anxiety disorder.

4 So based on the analysis from 250 caregivers,  
5 we found that the CMAI total score was associated  
6 with a -- or 1 5-point change in the CMAI total score  
7 was associated with 19 percent reduction in the  
8 likelihood of having high level of caregiver burden,  
9 as well as an 11 percent reduction in the likelihood  
10 of having a caregiver depression, and a 7 percent  
11 reduction in the likelihood of having caregiver  
12 anxiety or generalized anxiety disorder.

13 DR. APOSTOLOVA: Thank you. I don't have  
14 more questions.

15 MS. AGGARWAL: Perfect.

16 DR. HOBART: I believe we were attempting to  
17 display a slide. I'm not sure if you were able to  
18 see that. If you could confirm on your end whether  
19 you could see the slide.

20 There it comes, and that is just  
21 characterizing the data that was presented, but we  
22 wanted to allow you the opportunity to see it as

1 well.

2 Did that answer your question?

3 (No audible response.)

4 DR. HOBART: Thank you.

5 DR. NARENDRAN: Our next question is from  
6 Dr. Weisman.

7 DR. WEISMAN: Hi. Thank you so much for the  
8 presentation. My question, I have a couple of them.

9 Were pharmacogenetics done, any polymorphisms  
10 of CYP genes, with relation to safety outcomes? And  
11 I guess that's for Dr. Kraus.

12 DR. HOBART: Dr. Kraus?

13 DR. KRAUS: Thank you for your question. We  
14 have not done pharmacogenetic evaluations within the  
15 context of this study population compared to safety  
16 outcomes in this study.

17 DR. WEISMAN: Then my second question as a  
18 long shot, did any deaths go to pathology? I'm  
19 particularly interested if any of the people had  
20 evidence of dementia with Lewy bodies with increased  
21 sensitivity to these drugs.

22 DR. KRAUS: I can't provide now whether any

1 of these patients went to autopsy. Most of the  
2 causes prior to death were fairly well established,  
3 but can ascertain after the break if any autopsy was  
4 done.

5 DR. WEISMAN: My final question is, have  
6 there been other studies in elderly subjects with  
7 schizophrenia and depression? Do we see increase  
8 deaths in those populations or is it really siloed  
9 within Alzheimer's?

10 DR. KRAUS: Across the brexpiprazole program,  
11 including Alzheimer's disease with agitation, rates  
12 of deaths are low. I'll put up a slide here for your  
13 reference.

14 As you know, we are approved for  
15 schizophrenia and adjunctive treatment with major  
16 depression, and the current program is referencing  
17 AAD. The overall incidence of deaths across these  
18 programs are, as you can see, 0.9 percent,  
19 0.3 percent, 0.1 percent, respectively, with no  
20 common etiology around the causes of death. And for  
21 us, it's not necessarily surprising that the rates  
22 would be a bit higher in AAD given the patient

1 population.

2 DR. WEISMAN: Thank you very much.

3 DR. NARENDRAN: Our next question is from  
4 Dr. Cudkowicz.

5 DR. CUDKOWICZ: Thank you. Mert Cudkowicz,  
6 Harvard Medical School. I had just two questions.

7 One is, I was wondering if you might pull up  
8 the slide comparing the mortality with other  
9 antipsychotic drugs because it just went by real  
10 fast. I was trying to understand the reason for  
11 deaths, in general, with antipsychotics, and then the  
12 duration. Do you usually see it acutely or longer  
13 term?: And if it's more longer term in those other  
14 studies, do you have longer term follow-up from 283  
15 and 284? Maybe someone can explain that a little bit  
16 more.

17 DR. HOBART: Dr Kraus?

18 DR. KRAUS: Thank you for your question. In  
19 terms of the comparative slide with the other  
20 antipsychotics that you referenced -- I'll pull that  
21 up right here for the committee's reference  
22 again -- a couple of points taken into consideration.



1 Typically, these were also studies of a similar  
2 duration, 12 weeks approximately, in terms of a  
3 population that was Alzheimer's or with dementia,  
4 primarily psychotic symptoms, but a subset, depending  
5 on the assessment, was agitation as well. It's also  
6 important to note that this is a historical  
7 comparison, so these studies having been done many  
8 years ago compared to our studies with brexpiprazole.

9 I do also want to say that in our program, as  
10 I mentioned earlier, we did have an extension to  
11 Study 213. When looking at the first phase where the  
12 deaths occurred, as I stated earlier, there was no  
13 pattern seen in terms of time of onset of deaths  
14 among these patients, and when we extended treatment  
15 out for a further 12 weeks, we saw no new deaths,  
16 including patients switching from placebo to  
17 brexpiprazole or continuing on brexpiprazole.

18 So at least with brexpiprazole, for that  
19 24-week period, the data that we've presented today  
20 are probably most informative.

21 DR. CUDKOWICZ: Thank you very much.

22 I have other questions, but I might let the

1 other panelists ask, and I can circle back. Thank  
2 you.

3 DR. NARENDRAN: Our next question is from  
4 Dr. Paganoni.

5 DR. PAGANONI: Hello. Thank you very much.  
6 Thank you for the presentation. I also have several  
7 questions, and I'll start with one. I have a  
8 question about safety, so I think this is for  
9 Dr. Kraus.

10 You mentioned that, overall, adverse events  
11 were similar between the active and placebo groups;  
12 however, it seems to me that, at least numerically,  
13 there were a few more adverse events leading to  
14 discontinuation in the active group, so I wanted to  
15 ask if you could comment on that.

16 The other question I had is about drug-drug  
17 interactions. I noticed that a few medications were  
18 prohibited in the trial, but obviously some of them  
19 at least are widely used, and obviously polypharmacy  
20 is a concern, especially in the elderly population.  
21 So I was wondering, if this drug was approved, are  
22 you concerned that there may be interactions once the

1 drug is used in clinical practice, and how do you  
2 plan on monitoring safety?

3 DR. HOBART: I'll start with this a response,  
4 and then invite Dr. Kraus to comment further.

5 Brexpiprazole is primarily metabolized  
6 through the CYP2D6 and 3A4 inhibitor pathways, and we  
7 will have language in the label that will speak to  
8 recommended dose adjustments, which will be  
9 consistent with current labeling information.  
10 Specifically, the recommendation would be when using  
11 strong CYPD6 or 3A4 inhibitors to administer half the  
12 usual dose.

13 In regards to the question regarding further  
14 information on AEs that led to discontinuation, I'd  
15 like to invite Dr. Kraus to provide further details.

16 DR. KRAUS: Thank you, Dr. Hobart.

17 The majority of discontinuations due to AEs  
18 in these patients -- let me pull this up -- by system  
19 organ class were primarily in the lower dose group,  
20 psychiatric disorders, but also nervous system  
21 disorders, infections, and investigations or  
22 laboratory evaluations. We do see, when we look

1 across all brexpiprazole doses relative to placebo,  
2 that although drug is numerically higher, the rates  
3 are relatively low.

4 In terms of the preferred terms or having a  
5 little more detail beyond the system organ class in  
6 these three studies with all brexpiprazole versus  
7 placebo, to your point, overall, the discontinuation  
8 rate is higher based on AEs compared to placebo,  
9 6 versus 3, but there really was not a kind of  
10 pattern of specific AEs that were identified in that  
11 group, as you can see on this table.

12 Does that answer your question.

13 DR. PAGANONI: Yes. Thank you.

14 DR. KRAUS: Thank you.

15 DR. NARENDRAN: The next question is from  
16 Dr. Thomas.

17 DR. THOMAS: Hello. Patrick Thomas from  
18 Baylor College of Medicine. Thanks for the  
19 presentation; one question about adverse events, and  
20 I believe this will be directed towards Dr. Kraus.

21 In the slide that you actually just showed,  
22 it looked like QTc prolongation was relatively low,

1 but in this population, in terms of the extent of  
2 prolongation, was it notable or not? Can you comment  
3 to that?

4 DR. HOBART: Dr. Kraus?

5 DR. KRAUS: Thank you for your question. In  
6 particular, related to QT prolongation, it was  
7 0.3 percent versus zero, which represents, really,  
8 approximately 2 patients in the overall program. So  
9 it was 1.2 percent in the brex group versus  
10 0.5 percent in the placebo group. If we look at  
11 overall QT prolongation, beyond being defined as an  
12 AE, there was no trend in the incidences that were  
13 observed in the dosing, and actually I can put up  
14 some data for you to take a look at.

15 No brexpiprazole-treated patient had a QTc  
16 value of greater than 500 milliseconds by any  
17 correction method; 4 placebo-treated patients had a  
18 QTcB value greater than 500 in all these short-term  
19 AAD trials. So we conclude from these data that  
20 there was not a signal related to administration of  
21 brexpiprazole in regards to QTc prolongation.

22 Does that answer your question, sir?

1 DR. THOMAS: Yes. Thank you.

2 DR. NARENDRAN: Our next question is from  
3 Ms. Witczak.

4 MS. WITCZAK: Hi. Thanks for your  
5 presentation. I have a question. Obviously,  
6 antipsychotics have a terrible impact on a patient's  
7 ability to function, think, and care about others. I  
8 understand that it's very troubling for the  
9 caregiver. I'm curious. When you did all your  
10 analysis, was there one on the quality of life for  
11 the patients? I see the caregiver, but was there  
12 anything about the the actual patient's caregiver?  
13 That's question number one.

14 Question number two is, when you look at all  
15 of the Factor 1/Factor 2 and all the various  
16 questions, did you look at which questions had the  
17 greatest sense of improvements? Were they aggressive  
18 or non-aggressive? Were they dangerous or not  
19 dangerous? Obviously, they got grouped in, but I'm  
20 wondering if there's one or two of them that actually  
21 lead to the majority of the improvement.

22 So those are the two questions, and I'm not

1       sure who it goes to. Thank you.

2               DR. HOBART: Thank you, and I will start the  
3 response and pull in my other colleagues as needed.

4               As was shown on the previous slide, there  
5 were some patient outcomes that were collected as far  
6 as hospital admissions, emergency room visits, or  
7 falls in the real-world study that was previously  
8 shared, so both the patient and the caregiver  
9 outcomes.

10              Does this address the question?

11              MS. WITCZAK: Actually, it was more like  
12 quality of life, because we know what atypicals can  
13 do for somebody where sedated. Do we get into more  
14 of the quality of life? Obviously, when I look at  
15 emergency rooms, of course those are outcomes, but  
16 quality of life specifically, and did those kinds of  
17 questions get asked in the analysis?

18              DR. HOBART: So I'd like to invite  
19 Dr. McQuade to provide further information on quality  
20 of life.

21              DR. McQUADE: Thank you. As I mentioned  
22 previously, in the third study, we did not collect

1 quality-of-life data in an attempt to reduce placebo  
2 responding. As I mentioned, in the first two  
3 studies, we did collect some data. The responses  
4 were relatively modest, and at the end of the day did  
5 not provide any clear evidence of an effect.

6 MS. WITCZAK: Thank you.

7 DR. HOBART: Then in regards to your second  
8 question regarding the individual behaviors, can I  
9 have the slide? Thank you.

10 Looking across the three studies, we did look  
11 at behaviors by the three factors of the CMAI: the  
12 aggressive behaviors; the physically non-aggressive  
13 behaviors; and the verbally aggressive behaviors.  
14 There was a consistent performance that favored  
15 brexpiprazole over the individual behaviors.

16 As a reminder, the CMAI does look at a number  
17 of different behaviors, 29 different behaviors. Not  
18 every behavior is present in every subject. There's  
19 a large amount of difference on an individual patient  
20 level regarding the behaviors that are displayed, but  
21 irregardless of the individual behaviors, we do see  
22 broad improvement across the various individual



1 behaviors, and I'm pulling up that slide now.

2 This slide shows the 29 individual behaviors  
3 of the CMAI. The top of the arrow is the frequency  
4 of that behavior at baseline. The bottom of the  
5 arrow is the frequency at endpoint, the dark blue is  
6 the data from the 2-and-3 milligrams from 213, and  
7 the light blue is the 2-milligram data from 283. And  
8 as you can see, there are improvements in all types  
9 of individual behaviors. Some do occur more  
10 frequently and some are near the floor of the scale  
11 or occurring less frequently in the trial.

12 DR. NARENDRAN: Our next question is from  
13 Dr. Paganoni.

14 DR. PAGANONI: Thank you. Thank you for the  
15 opportunity to ask another question. My other  
16 question is about clinical meaningfulness. I must  
17 admit, I'm struggling a little bit to fully  
18 understand the impact of the effect of this drug on  
19 patients and their families, and perhaps I have a  
20 clarifying question.

21 I think it's about your primary endpoint. I  
22 believe it's your slide 22. I don't use this

1 particular scale in clinical practice, so perhaps  
2 that's why I'm not fully understanding it. But I  
3 understand that the between-group difference, which  
4 was definitely reproducible across your different  
5 randomized trials, is really a delta of 3-to-5 points  
6 on the total score.

7 Now I understand that the total score ranges  
8 from 29 to 203, so it's kind of a wide range, and if  
9 I understand it correctly, the delta again is  
10 3-to-5 points. But the total score comes from the  
11 summation of 29 items, with each item being rated  
12 1 to 7, so I guess this change is really distributed  
13 across several items, 29 in fact.

14 So can you help me understand? I understand  
15 that the last presentation pointed to a significant  
16 change. For example, if you go from 6 to 4,  
17 basically, the frequency of that particular symptom  
18 decreases dramatically, which can be clinically  
19 meaningful but, again, the total delta is distributed  
20 across 29 points. Perhaps, I'm not fully  
21 understanding this scale, so I would appreciate your  
22 insights.

1 DR. HOBART: Dr. McQuade?

2 DR. MCQUADE: Thank you. I think there are  
3 several questions in there that I'll try to get to.  
4 Let me start with your first question about clinical  
5 meaningfulness.

6 Again, these are the primary results from the  
7 two studies. In Study 213, in specific, when we used  
8 an enriched population, you can see that the p-value  
9 is less than 0.01, or less than 1 percent, indicating  
10 that these results are probably not due to chance or  
11 randomness. But I think more importantly than just  
12 looking at these graphs, it's important to look at  
13 response analysis. If you'll bear with me, I'll put  
14 up a couple of slides to support that.

15 Again, as you were mentioning, the change  
16 from baseline represents a population effect. I  
17 think at the end of the day, the more meaningful  
18 effect is what happens when you look at individual  
19 patients. When we look at individual patients and  
20 look at reduction in CMAI score from baseline, you  
21 can see that regardless of whether you look at a  
22 20 percent reduction from baseline, a 30 percent

1 reduction, or a 40 percent reduction, more patients  
2 responded to brexpiprazole than to placebo, and the  
3 rates are between 40 percent more on the left and  
4 60 percent more on the right.

5 We then went on to do another analysis by  
6 trying to correlate a meaningful within-patient  
7 threshold that's correlated to CGIS improvement of  
8 2 points, and when we did that, you again see that  
9 56 percent of patients responded to brexpiprazole  
10 compared to 37 percent of patients on placebo; again,  
11 about a 50 percent higher value. So using response  
12 criteria, we're able to state that 50 percent more of  
13 patients respond to brexpiprazole than placebo, and  
14 at the end of the day, I think that helps put some of  
15 the context into the population score of the CMAI  
16 total score.

17 Let me go on briefly. Many of these  
18 inventory scales in psychiatry that we use -- things  
19 like PANSS in schizophrenia, or MADRS in depression,  
20 or in this case, CMAI in agitation -- firstly, they  
21 don't get very much to near the top of the scale. As  
22 we showed here, the baseline score in the study was

1 about 80, whereas the maximal score is 203, and it  
2 would obviously be almost impossible for patients to  
3 get to 203, which would be several times an hour for  
4 every individual item.

5 So it's not unusual that we see this kind of  
6 phenomenon. Also, we did one other analysis that I'd  
7 like to share with you, and that is we looked at  
8 individual items and we grouped them by their  
9 baseline score. So on the left you have the  
10 individual items that occurred several times an hour  
11 for a score of 7, and as it goes across, you can see  
12 it reduces, obviously. The greatest effect we see  
13 are in the patients who have the most frequent  
14 behaviors, so again, a clinically meaningful  
15 improvement in this case from several times an hour  
16 to several times a week for those items that were  
17 rated as a 7 at baseline.

18 Did I miss anything in your question?

19 DR. PAGANONI: No, this helps. I must admit,  
20 I was surprised by the number of responders in the  
21 placebo group. So it seems like, overall, the  
22 natural history of this scale tends to improve over

1 the course of the 12 weeks of observation, if I  
2 understand the graphs correctly, although I am  
3 convinced that obviously you reproduced the treatment  
4 effect across different programs -- that I  
5 understand -- and the p-value supports that.

6 Again, I'm struggling a little bit in terms  
7 of the actual magnitude of change for the treated  
8 participants. The difference between the responders  
9 when you compare treated versus placebo, even some  
10 placebo participants improved.

11 DR. McQUADE: This is clearly a problem we  
12 have across psychiatry. We've seen a number of cases  
13 where placebo responding is extensive, and in  
14 fact -- we don't need to call up the data -- there's  
15 even a study showing that in schizophrenia, placebo  
16 responding went from going 2 points worse from  
17 baseline to 15 or 16 points better than baseline over  
18 the course of a 20-year period. So placebo  
19 responding is clearly a problem in our psychiatric  
20 studies.

21 Actually, my colleagues were able to pull it  
22 up. I'll just put it up briefly for you to see.

1 Again, this is just placebo responding, and you see  
2 that it increases in a linear fashion over time; so a  
3 problem we have to face. At the end of the day, I  
4 think the reproduction and the response rate is  
5 what's important.

6 One other comment, however, is that as we  
7 conduct these studies, this is not very consistent  
8 with real-world practice for these patients.  
9 Patients have 2-and-3 hour sessions with clinicians.  
10 They get a lot of attention and a lot of care, and a  
11 lot of that helps drive placebo responding in the  
12 clinical trial setting, whereas it's something that  
13 is somewhat less pronounced in the real-world  
14 setting.

15 DR. PAGANONI: Thank you.

16 DR. HOBART: I'd like to invite Dr. Atri to  
17 also share his clinical perspective on your question.

18 Dr. Atri?

19 DR. ATRI: Thank you for your question. I  
20 think it's a really important one. We can bring this  
21 slide up that I put up. Thank you.

22 So there are obviously many different ways of

1 thinking about meaningful benefits, and one of the  
2 main things that I was thinking about is that, to me,  
3 the results were consistent and believable for the  
4 population, but I went back to the Cohen's d effect  
5 sizes because most people wouldn't appreciate -- many  
6 of us don't use these these scales, so putting it on  
7 the same metric, a Cohen's d of 0.25 to 0.35 tells me  
8 there's a moderate effect there for the population.

9 But then digging deeper, I really think about  
10 this responder of am I going to give some of my  
11 patients potentially a greater chance at something  
12 very meaningful for that? And that's where that  
13 50 percent more likelihood for any given patient  
14 comes in, and that's for a 2-point change. So a  
15 20-point change in the CMAI, kind of correlating with  
16 a 2-point change in the CGIS, takes somebody from  
17 markedly ill to mildly ill related to their  
18 agitation. That's a lot you easier to cope with over  
19 time. Even if it's more modest than that, taking  
20 them from markedly to moderately, some of these  
21 patients are really at stages where they're just are.  
22 They're kind of like stewing a little bit. We just



1 don't want them to boil over. And for that reason,  
2 even that 1-point change could actually be quite  
3 meaningful.

4 It's only going to help some of the patients.  
5 Is it going to give 1 out of 5 of my patients a  
6 possibility for this, or 1 out of 3? I think the  
7 data supports that, and that's one of the ways that I  
8 look at meaningfulness here.

9 DR. HOBART: Thank you.

10 DR. NARENDRAN: Our next question is from  
11 Dr. Cudkowicz.

12 DR. CUDKOWICZ: Thank you. It's kind of an  
13 extension of what we're talking about. You show  
14 clearly, I think, that there's a large majority of  
15 the participants who responded. I wanted to ask a  
16 little bit if you have some insight on why people  
17 might not respond versus who responds better. You  
18 might have alluded to it related to the severity of  
19 the scale, but anything that would help clinicians  
20 decide if there might be a group of people, for  
21 example, that they wouldn't try this for, or the  
22 opposite, that there is a group of people they would

1 really want to try this for.

2           Maybe related to that, I'd like to hear from  
3 Dr. Atri. How will you actually prescribe this?  
4 Would this be something that you'd continue until the  
5 person has very little agitation or would you  
6 withdraw it? And if you withdraw it, are there any  
7 worries about that?

8           DR. HOBART: Dr. Atri?

9           DR. ATRI: Thank you, Dr. Cudkowicz. I would  
10 say this is not for every patient; it's for  
11 appropriately selected patients. Depending on,  
12 again, that initial shared decision making that we  
13 have, we're going to monitor it over time, and  
14 depending on the particular clinical, psychosocial,  
15 environmental, and cultural considerations, we're  
16 going to adjust that plan.

17           The idea is to continue that iterative  
18 process and always give the minimal dose that's going  
19 to be effective, and then look at potentially  
20 withdrawing and titrating down. But it's going to  
21 really depend on that particular situation with a  
22 patient and caregiver dyad I think.

1 DR. CUDKOWICZ: And the other part of the  
2 question is about, do you have any data on who are  
3 the better or worst responders? That would be  
4 helpful for a clinician.

5 DR. HOBART: Dr. McQuade?

6 DR. MCQUADE: Yes. I'd like to call back up  
7 a slide that I presented previously about the  
8 baseline severity. Thank you.

9 Again, as I think these data show pretty  
10 clearly, there's a bigger effect of drug on those  
11 symptoms that are more frequent. When we looked at  
12 subanalyses that also looked at baseline agitation  
13 levels, again, the same pattern emerged. There was a  
14 bigger drug effect and a bigger separation from  
15 placebo in patients with more moderate-to-severe  
16 agitation at baseline than at mild, also recognizing  
17 the floor effect of this particular scale, which  
18 makes some of the low-scoring patients at baseline  
19 very difficult to interpret.

20 DR. CUDKOWICZ: Thank you.

21 DR. HOBART: Dr. Ismail?

22 DR. ISMAIL: Thank you. I just wanted to

1 supplement Dr. Atri's response to the first question  
2 regarding duration of treatment.

3 The times when we treat someone with an  
4 antipsychotic, and then they're on it for good, are  
5 hopefully gone. And the standard of care is such  
6 that at 3 months, the very latest, we would reassess  
7 the efficacy and try to titrate down off of these  
8 medicines. They're not intended to be forever. The  
9 data from other studies show us that for some people,  
10 titration down is successful, at least to a lower  
11 dose, and for some, there is a clear return of  
12 symptoms, which then necessitates revisiting the  
13 situation, determining if the dose needs to go back  
14 up or the med needs to be restarted; but the practice  
15 and the standard of care are such that we have to  
16 revisit this very regularly. Thank you.

17 DR. HOBART: Thank you. That's helpful.

18 DR. NARENDRAN: I see a few more questions.  
19 We're almost getting closer to a break, so if you  
20 could make it short and short answers.

21 Dr. Apostolova, your question?

22 DR. APOSTOLOVA: Yes. It's great that I'm

1 following Dr. Cudkowicz because my question is, in a  
2 way, similar to hers. I wanted to know if there is  
3 any difference in how patients respond  
4 therapeutically based on the factors. As grouped in  
5 the CMAI, are any of the factors aggressive, verbally  
6 agitated, more or less responsive? Would that in any  
7 way guide our treatment with brexpiprazole in the  
8 future? Also, I wanted to ask, across those factors,  
9 were the groups balanced in terms of severity,  
10 placebo versus drug?

11 DR. HOBART: Dr. McQuade?

12 DR. McQUADE: Thank you, And can I ask my  
13 colleagues to also pull up the data from 213?

14 This is the pooled data across studies,  
15 looking at the three key factors of the CMAI. On the  
16 left is aggressive, in the middle is physically  
17 non-aggressive, and on the right is verbally  
18 agitated. You can see that in all three cases,  
19 there's nominal improvement with p-values less than  
20 0.05, so we do see improvement in all the symptoms.  
21 And I think it's very important, because you wouldn't  
22 want to trade improvement in one type of symptom for

1       worsening of another.

2               I ask my colleagues to also bring up Study 13  
3       because, again, as you recall, we enriched this  
4       population for patients with aggressive agitation at  
5       baseline. Even so, even so enriched, you still see  
6       the same pattern of improvement across all three of  
7       the subfactors. So it's a consistent finding in all  
8       of our studies that we see improvement across the  
9       board in all agitated behaviors, regardless of  
10      whether you look at all patients or those who are in  
11      the enriched population.

12              DR. APOSTOLOVA: So in follow-up to that, was  
13      there balance of matching severity between placebo  
14      and brexpiprazole based on a factor? For instance,  
15      were more severely aggressive patients on placebo as  
16      opposed to more verbally agitated patients on  
17      brexpiprazole, in terms of percentage of distribution  
18      of severity, if that makes sense?

19              DR. McQUADE: No, it makes perfect sense.  
20      There was complete balance between the placebo group  
21      and brexpiprazole. The randomization did its job. I  
22      will comment that the baseline scores for the

1 aggressive generally were a little higher, but that's  
2 based on the fact that there are more items in the  
3 aggressive factor than there are in the physically  
4 non-aggressive and verbally. It's sort of just that  
5 number of items that helps drive some of the baseline  
6 differences.

7 DR. APOSTOLOVA: Thank you.

8 DR. NARENDRAN: It seems like our time is up,  
9 so I'm just going to stop there. We could cycle back  
10 maybe if we have time for the last other questions  
11 that may be. So we'll take a quick 10-minute break.  
12 Panel members, please remember that there should be  
13 no chatting or discussion of the meeting topics with  
14 other panel members during the break. We will resume  
15 at 11:05 for the FDA presentations.

16 (Whereupon, at 10:56 a.m., a recess was  
17 taken, and the meeting resumed at 11:05 a.m.)

18 DR. NARENDRAN: Welcome back.

19 We will now proceed with the FDA  
20 presentations, starting with Dr. Shamir Kalaria.

21 **FDA Presentation - Shamir Kalaria**

22 DR. KALARIA: Thank you, Dr. Narendran.

1           Good morning, everyone. My name is Shamir  
2           Kalaria, and I'm the primary clinical reviewer from  
3           the Division of Psychiatry. I'll be providing FDA's  
4           assessment on the applicant's supplementary new drug  
5           application for brexpiprazole, for the treatment of  
6           agitation associated with Alzheimer's dementia, also  
7           referred throughout this presentation as AAD.

8           I'll begin this presentation by providing a  
9           brief overview of the application, followed by a  
10          walkthrough summary of the available evidence  
11          contributing to FDA's evaluation of efficacy and  
12          safety. I'll then provide our assessment of the  
13          application and several concluding remarks regarding  
14          our current understanding of brexpiprazole's  
15          benefit-risk profile to assist with our discussion  
16          today.

17          Brexpiprazole is an atypical antipsychotic  
18          drug that was initially FDA approved in 2015 for the  
19          adjunctive treatment of major depressive disorder and  
20          for the treatment of schizophrenia in adults.  
21          Although brexpiprazole's exact mechanism of action  
22          for the treatment of ADD and other psychiatric



1 conditions is unknown, its pharmacologic effect is  
2 thought to be exerted by a combination of partial  
3 agonist activity at serotonin subtype 1A and  
4 dopamine 2 receptors, and as an antagonist at the  
5 serotonin subtype 2A receptor.

6 The applicant's proposed indication for the  
7 supplementary new drug application is for the  
8 treatment of agitation associated with Alzheimer's  
9 dementia, with a recommended dose range between  
10 2-to-3 milligrams per day. The brexpiprazole AAD  
11 clinical development program consisted of three  
12 double-blind, placebo-controlled, 12-week studies.  
13 Throughout this presentation, I'll be referring to  
14 them to as Studies 283, 284, and 213.

15 Although all three phase 3 studies share the  
16 basic trial design element, differences in the study  
17 population, including the diagnostic criteria for  
18 probable AD and agitation, can be attributed to the  
19 agency's evolving advice over time. Of note, the  
20 applicant initiated Studies 283 and 284 back in 2013  
21 and study 213 in 2018.

22 The applicant also conducted Study 211, an

1 observational post-treatment in subjects who  
2 completed Studies 283 and 284, and one active  
3 extension treatment study, also referred to as  
4 Study 182, that evaluated brexpiprazole for an  
5 additional 12 weeks in subjects who completed  
6 Study 213.

7           In November of 2012, the agency met with the  
8 applicant during a pre-IND meeting to discuss the  
9 development plan and the feasibility of pursuing an  
10 indication for the treatment of AAD. Even though the  
11 applicant was still undecided whether they were  
12 planning to target a broad population of patients  
13 with Alzheimer's dementia and agitation or a more  
14 specific indication for the treatment of aggressive  
15 agitation in patients with Alzheimer's dementia, the  
16 agency agreed that agitation in itself is clinically  
17 recognized as an important aspect of AAD and a  
18 potential target for treatment.

19           At this time, the applicant proposed a  
20 clinical development program consisting of two  
21 phase 3 studies evaluating institutionalized and  
22 community-dwelling subjects diagnosed with probable

1 AD. For both studies, the applicant proposed to use  
2 the Cohen-Mansfield Agitation Inventory, also known  
3 as the CMAI, as the primary efficacy endpoint.  
4 Because the applicant did not settle on a specific  
5 target population, the agency did not provide more  
6 specific advice and indicated that the general study  
7 designs appeared reasonable. The agency encouraged  
8 the applicant to also provide details on the use of  
9 the CMAI instrument throughout their program.

10 In early 2013, the applicant submitted their  
11 initial protocols for Studies 283 and 284 for review.  
12 After reaching agreement with the agency, the  
13 applicant initiated both studies later in that year.  
14 Both Studies 283 and 284 were designed as randomized,  
15 double-blind, placebo-controlled, multicenter studies  
16 of 12 weeks in length, intended to evaluate the  
17 efficacy, safety, and tolerability of brexpiprazole  
18 in AAD.

19 Study 283 was a fixed-dose study design,  
20 evaluating brexpiprazole dosing regimens of  
21 1 milligram and 2 milligrams per day relative to  
22 placebo. The applicant originally included the

1 0.5-milligram per day arm, but later dropped the arm  
2 from the efficacy analysis based on data collected  
3 from prior studies that suggested that the dose might  
4 be ineffective.

5 Study 284 was a flexible-dose study design,  
6 evaluating brexpiprazole dose ranges between  
7 0.5-to-2 milligrams per day. Each study consisted of  
8 a screening period for up to 42 days to assess  
9 eligibility criteria and to wash out prohibited  
10 medications prior to randomization. Each study also  
11 included a 12-week, double-blind treatment period and  
12 a 30-day safety follow-up evaluation for each subject  
13 after receiving their final dose of their medication.  
14 For all subjects who terminated early from the study,  
15 the subject's caregiver was contacted at week 12 to  
16 collect mortality status information.

17 Both trials included identical eligibility  
18 criteria. The applicant enrolled subjects  
19 55-to-90 years of age living in either an  
20 institutionalized or non-institutionalized care  
21 setting. To establish a probable diagnosis of AD,  
22 the applicant utilized the NINCDS-ADRDA criteria.

1 Subjects also had to exhibit mild-to-severe cognitive  
2 impairment, defined as a Mini-Mental State Exam, of  
3 between 5 to 22 points.

4 For both studies, the applicant also included  
5 subjects with significant agitation, defined as an  
6 NPI agitation and aggression subscore of at least 4,  
7 with symptom onset at least 2 weeks prior to  
8 screening. Subjects required a previous trial of  
9 non-pharmacologic interventions to treat symptoms of  
10 agitation. Subjects that reported an insufficient  
11 response to at least two previous antipsychotics were  
12 not included in these studies.

13 Prior to 2015, there was no commonly accepted  
14 definition for agitation. Studies often utilized lay  
15 definitions that were nonspecific and included states  
16 of excitement, disturbance, or worry. In 2015, the  
17 International Psychogeriatric Association, also known  
18 as the IPA, formed the Agitation Definition Working  
19 Group to establish a consensus definition of  
20 agitation that would facilitate a wide spectrum of  
21 research and provide a common framework for  
22 diagnostic nomenclature. Recently, the working group

1 finalized the IPA provisional definition for  
2 agitation with minimal modifications.

3 Study 283 and 284 were initiated in 2013, a  
4 few years before the creation of the IPA provisional  
5 definition. Although there was no clinically  
6 accepted definition at the time, the inclusion  
7 criteria of the subjects with agitation  
8 non-attributed to another illness, and for at least  
9 2 weeks, and the use of the NPI scale to identify  
10 subjects with significant symptoms of agitation,  
11 closely resembled the criteria outlined in the IPA  
12 definition. Therefore, the results of these studies  
13 may be generalizable to a population that meets the  
14 current IPA consensus definition. Similarly, the  
15 applicant included subjects with probable AD  
16 mild-to-severe cognitive impairment. At the time,  
17 probable AD was based on the NINCDS definition and  
18 was thought to be reasonable due to a current lack of  
19 biomarker-based diagnostic criteria.

20 Since this program was initiated, the science  
21 in our field has evolved and our current regulatory  
22 understanding has changed. Currently, the agency

1 recommends sponsors to follow the 2018 Draft Guidance  
2 for Industry and the 2018 National Institute of Aging  
3 criteria to identify subjects with AD. Our current  
4 regulatory advice for these programs also include  
5 enrollment of subjects that are generalizable to the  
6 real-world population. Therefore, the inclusion of  
7 subjects with mild-to-severe cognitive impairment  
8 reflects a range that's likely for patients that have  
9 AAD.

10 This table provides the randomization ratios  
11 for each of the studies and the titration schemes  
12 employed. For Study 283, the titration scheme  
13 followed a force titration to target dose approach,  
14 while Study 284 utilized the flexible-dose titration  
15 criteria, where subjects were titrated up from  
16 1 milligram per day to 2 milligrams per day after  
17 week 4, based on response and tolerability. To  
18 emphasize, each of these studies evaluated chronic  
19 once-daily dosing of brexpiprazole over 12 weeks.

20 During the initial pre-IND meeting, the  
21 applicant proposed to use the Cohen-Mansfield  
22 Agitation Inventory, also known as the CMAI, as the

1 primary efficacy measure for both phase 3 studies.  
2 The CMAI is a caregiver-reported instrument that  
3 consists of 29 items that rate symptom frequency on a  
4 scale between 1 to 7, with 1 being the best rating of  
5 no occurrence and 7 being the worst rating of  
6 multiple occurrences a day.

7 The CMAI total score is the sum of ratings  
8 from all 29 items and could range from a possible of  
9 29 to 203 points, and a large scale factor analysis  
10 of the CMAI conducted in nursing home patients  
11 revealed four major CMAI subscales, including  
12 aggression, physically non-aggression, verbal  
13 agitation, and hiding and hoarding.

14 This table provides the individual items that  
15 loaded onto each of the subscales with their  
16 respective possible score range. Five other items,  
17 including making strange noises; intentionally  
18 falling; eating or drinking inappropriate substances;  
19 and verbal or physical sexual advances did not load  
20 into a specific domain and are characterized as  
21 unloaded items.

22 From a clinical perspective, agitation



1 represents a continuum of behaviors, with one end of  
2 the spectrum representing milder, non-threatening  
3 behaviors such as verbal agitation, and the other  
4 consisting of aggressive behaviors that may cause  
5 harm to self or others. In addition to symptom  
6 severity, frequency with which these behaviors are  
7 exhibited play an important role in deciding which  
8 treatments are needed. For agitated behaviors that  
9 are milder and non-threatening, there may be a higher  
10 frequency threshold before treatment interventions  
11 are considered, as compared to those with more  
12 threatening behaviors, which may require a lower  
13 frequency threshold. Therefore, from a regulatory  
14 perspective, not only were we interested in  
15 understanding the treatment effect on the total  
16 score, but we are also interested in evaluating  
17 movement on these subscales.

18 For both trials, the primary efficacy  
19 endpoint was changed from baseline in the CMAI total  
20 score at week 12, while the multiplicity adjusted  
21 secondary endpoint was changed from baseline in the  
22 Clinician Global Impression of Severity, also known

1 as the CGIS, score at week 12. The applicant also  
2 conducted several exploratory analyses on various  
3 psychiatric and quality-of-life measures. To further  
4 explicate the findings from the primary efficacy  
5 endpoint, this presentation will focus on the  
6 treatment effects for each of the three major CMA  
7 subscales that closely align with the diagnostic  
8 criteria for agitation.

9 Because the CMAI is a caregiver-reported  
10 outcome measure, the applicant included several  
11 caregiver requirements. The caregiver was identified  
12 as a person who had sufficient contact to observe and  
13 describe the subject's behaviors. The recommended  
14 minimum level of contact between the caregiver and  
15 the subject was at least 2 hours per day for 4 days a  
16 week. In the non-institutionalized care setting, the  
17 subject's caretaker was the person who lived with and  
18 cared for the subject on a regular basis, and may not  
19 necessarily be the same person who fills the role of  
20 the caregiver. In the institutionalized care  
21 setting, the caregiver could be a staff member or  
22 another individual, including a family member or a

1 hired professional.

2 For each study, the evaluation of the primary  
3 efficacy endpoint was based on the mixed model's  
4 repeated measures analysis. The MMR model adjusted  
5 for prespecified covariates, including treatment,  
6 trial center, visit week, and also included  
7 interactions for the treatment by visit and baseline  
8 CMAI total score by visit. The same methodology was  
9 also used to evaluate the secondary efficacy  
10 endpoint.

11 The applicant also used a hierarchical  
12 testing procedure to control for type 1 error rate.  
13 Specifically, for Study 283, the primary efficacy  
14 endpoint was tested first by comparing the  
15 brexpiprazole 2-milligram arm versus placebo, and  
16 then a comparison of the brexpiprazole 1-milligram  
17 arm versus placebo. If the primary efficacy analysis  
18 for the CMAI total score yielded statistically  
19 significant results for both comparisons, the  
20 applicant repeated the hierarchical testing procedure  
21 for the secondary endpoint.

22 Now moving on to the results for Study 283,

1 the applicant randomized 433 subjects into the  
2 double-blind treatment period to receive either  
3 placebo, brexpiprazole 0.5 milligram, 1 milligram, or  
4 2 milligram per day. As mentioned before, the  
5 brexpiprazole 0.5-milligram treatment arm was dropped  
6 from the efficacy analysis due to previous findings  
7 that suggested that the dose might be ineffective.  
8 The most frequent reason for study discontinuation  
9 across all treatment groups was due to adverse  
10 events.

11 The efficacy population consisted of mostly  
12 white, non-Hispanic subjects with a mean age of  
13 74 years. Most subjects resided in an  
14 institutionalized care setting and exhibited either  
15 moderate or severe cognitive impairment, and  
16 approximately 26 percent of patients also exhibited  
17 co-morbid psychotic symptoms. When evaluating the  
18 CMAI item at baseline, approximately 70 percent of  
19 subjects also exhibited significant symptoms across  
20 all three domains of agitation.

21 The results of the primary and secondary  
22 efficacy analysis are displayed on this table. I

1 want to highlight that a statistically significant  
2 treatment effect for only the brexpiprazole  
3 2-milligram per day arm versus placebo was observed  
4 at week 12 for the primary efficacy measure; however,  
5 the treatment difference did not reach statistical  
6 significance for either of the brexpiprazole arms for  
7 the secondary efficacy endpoint on the CGIS score.

8 The figure on the left provides a visual  
9 representation of the time course of response for the  
10 change in the CMAI total score over 12 weeks. The  
11 longitudinal response profile suggested numerical  
12 separation between the brexpiprazole 2-milligram arm  
13 versus placebo, starting after 4 weeks of treatment  
14 that also appeared to be sustained throughout the  
15 treatment period.

16 Now let's take a step back to the initial  
17 pre-IND meeting in 2012. At the time, the agency  
18 agreed to use the CMAI total score as a primary  
19 efficacy measure and thought it was reasonable for  
20 both Studies 283 and 284. However, it's important to  
21 note that different agitated behaviors occur in  
22 different circumstances and in different people.

1 Because of this heterogeneity, the developers of the  
2 CMAI did not intend to use the total score of all  
3 29 items. As a reminder, the agency and the  
4 applicant did discuss the need for consistent  
5 directional improvements in the three major subscales  
6 of agitation and were interested to see whether  
7 improvements in one of the subscales was compensated  
8 by worsening in another.

9 Because some skills of aggression, physical  
10 non-aggression, and verbal agitation closely align  
11 with the behaviors outlined in the IPA criteria, this  
12 presentation will focus on these three subscales of  
13 interest. This table displays one of the applicant's  
14 secondary analyses based on the three major factor  
15 domains on the CMAI measure. As you can see, the  
16 placebo subtracted difference at week 12 in the  
17 brexpiprazole 2-milligram arm suggested consistent  
18 numerical improvement across all three subscales,  
19 with the greatest group mean effect exerted on the  
20 verbally agitated behavior domain.

21 Moving on to Study 284, in Study 284,  
22 270 subjects were randomized into the double-blind

1 treatment period. Similar to Study 283, the most  
2 frequent reason for discontinuation was due to  
3 adverse events. Because Study 283 and 284 specified  
4 identical eligibility criteria, the demographic and  
5 baseline characteristics also appeared similar. The  
6 efficacy population, again, consisted of mostly  
7 white, non-Hispanic subjects with a mean age of  
8 74 years. Most subjects resided in an  
9 institutionalized care setting and exhibited either  
10 moderate or severe cognitive impairment, and only  
11 22 percent of subjects presented with co-morbid  
12 psychotic symptoms. Based on reported symptoms at  
13 baseline, again, approximately 70 percent of subjects  
14 exhibited significant symptoms of agitation across  
15 all three domains of agitation.

16 As you can see from this table, the results  
17 of the primary efficacy endpoint on the CMAI total  
18 score for Study 284 was not statistically  
19 significant. Because of the lack of a statistical  
20 significant finding on the primary endpoint, the  
21 results of the secondary endpoint analysis on the  
22 CGIS score is considered solely descriptive.

1           Again, the figure on the left provides the  
2 time course of response for the change in the CMAI  
3 total score over 12 weeks. In comparison with  
4 Study 283 that suggested a separation between  
5 brexpiprazole 2 milligrams per day versus placebo  
6 starting at 4 weeks, the longitudinal response  
7 profile for Study 284 shows a separation between  
8 flexibly-dosed brexpiprazole and placebo, starting at  
9 6 weeks, that remains throughout the study period.

10           When we take a closer look at the changes in  
11 the CMAI subscale, the placebo subtracted difference  
12 at week 12 in the brexpiprazole arm was numerically  
13 greater relative to placebo across all three major  
14 subdomains. However, unlike Study 283 that suggested  
15 greater effects on verbal agitation, the  
16 brexpiprazole group in Study 284 appeared to exert  
17 its greatest effect on the aggressive behavior  
18 domain.

19           Although Study 284 failed to meet its primary  
20 endpoint, the applicant conducted several post hoc  
21 exploratory analyses to further evaluate treatment  
22 response among subjects who received brexpiprazole



1 2 milligrams per day. At the week 4 visit,  
2 approximately half of the subjects in both treatment  
3 arms required an increase in dose from 1 milligram  
4 per day to 2 milligrams per day. For the primary  
5 efficacy endpoint, a numerical improvement was  
6 observed with the brexpiprazole group over placebo  
7 among the subgroup of patients whose dosage was  
8 increased to 2 milligrams per day.

9           When evaluating the subgroup of subjects who  
10 did not require a dosage increase at week 4, there  
11 was no numerical difference between treatment arms.  
12 These results were also similar when comparing  
13 treatment arms by modal dose, where subjects with a  
14 modal dose of at least 2 milligrams per day exhibited  
15 a numerical improvement with brexpiprazole over  
16 placebo. These post hoc exploratory analyses in  
17 combination with the results with Study 283 could  
18 further suggest that the minimum effect of  
19 brexpiprazole dose for AAD is likely 2 milligrams per  
20 day.

21           During a 2017 guidance meeting, the applicant  
22 shared these results from Study 283 and 284,

1 including post hoc analyses, that suggested a  
2 treatment effect among subjects with significant  
3 aggressive behaviors at baseline, and among subjects  
4 that received brexpiprazole 2 milligrams per day  
5 after week 4, suggested a robust treatment effect.  
6 On its own, the agency did not consider Study 283 to  
7 be statistically persuasive, and emphasized that  
8 post hoc analyses could not serve as a primary  
9 support for a potential indication.

10 The agency recommended the applicant conduct  
11 another 12-week, double-blind, placebo-controlled  
12 study to evaluate a higher dose than what was  
13 previously studied. The agency also advised that the  
14 subjects do not necessarily need to exhibit  
15 aggressive behaviors to be suitable for enrollment,  
16 and recommended that the applicant use the existing  
17 IPA consensus definition for agitation to ensure  
18 enrolled subjects exhibited significant agitation at  
19 baseline.

20 In February of 2018, the applicant met with  
21 the agency again to discuss key trial design elements  
22 for Study 213. Previously, the agency noted that the

1 use of the NPI agitation and aggression score of at  
2 least 4 likely led to the enrollment of some patients  
3 with limited or very mild agitation. The applicant  
4 hypothesized that, including subjects with  
5 significant aggressive behaviors listed in the CMAI  
6 Factor 1 could lead to a potential increased  
7 treatment effect. Although the applicant proposed  
8 enrichment strategy appeared to be justified based on  
9 their post hoc analyses, the agency was unclear at  
10 this time whether the study results would be  
11 generalizable to patients with non-aggressive  
12 symptoms and cautioned the applicant that narrowing  
13 the target population could narrow the product's  
14 final indication for use.

15 The applicant also stressed difficulties in  
16 subject recruitment and proposed to combine the  
17 brexpiprazole 2-milligram and 3-milligram per day  
18 arms for the primary analysis. Since this would be  
19 the only source of information for higher doses of  
20 brexpiprazole in elderly patients, the agency  
21 recommended that the applicant enroll at least  
22 100 subjects to receive brexpiprazole 3 milligrams

1 per day. The agency also agreed that a long-term  
2 safety study would not be a pre-approval requirement,  
3 but could be a phase 4 commitment.

4 The applicant submitted their initial  
5 protocol for review in 2018, and the proposed study  
6 design was similar in study length and timing of  
7 assessments relative to Study 283 and 284.  
8 Study 213's population was also similar to Study 283  
9 and 284 with a few caveats. The inclusion of  
10 criteria of enrolling subjects with a probable  
11 diagnosis of AD was still based on the NINCDS  
12 criteria, and subjects still needed to meet the  
13 requirements for mild-to-severe cognitive impairment.

14 In addition to these requirements for  
15 agitation onset and symptom severity based on the NPI  
16 agitation and aggression subscore, the applicant  
17 adhered to the agency's advice to require subjects to  
18 meet the 2015 IPA provisional consensus definition  
19 for agitation. The applicant also proceeded with  
20 their proposed enrichment criteria for including  
21 subjects with significant aggressive behaviors at  
22 baseline.

1           The statistical model to analyze the primary  
2 and secondary endpoints was also similar to Study 283  
3 and 284; however, in this study, the applicant also  
4 incorporated an unblinded interim analysis to  
5 potentially terminate the trial early for efficacy  
6 after the first 255 subjects who were randomized  
7 either completed or terminated the study. After  
8 reviewing the results of the unblinded interim  
9 analysis, the primary efficacy endpoint was tested at  
10 a two-sided, 3.5 percent nominal significance level  
11 for the analysis to control for overall type 1 error  
12 rate.

13           Now moving on to study results for Study 213,  
14 in Study 213, 345 subjects were randomized into the  
15 double-blind treatment period, and similar to the two  
16 previously discussed studies, again, the most  
17 frequent reason for study discontinuation was due to  
18 adverse events. The efficacy population consisted  
19 of, again, mostly white and non-Hispanic subjects  
20 with a mean of 74 years. Compared to Study 283 that  
21 included 16 percent of Hispanic subjects, and Study  
22 284 that included less than 6 percent of Hispanic

1 subjects, the Hispanic subject population almost  
2 accounted for a third of this total study population  
3 for Study 213.

4 This study also included more subjects in a  
5 non-institutionalized care setting than the two  
6 previous studies. Even though the study was enriched  
7 for subjects with significant aggressive behaviors at  
8 baseline, approximately 90 percent of subjects  
9 exhibited significant symptoms across all three  
10 domains of agitation. Based on the results of the  
11 study, the combined brexpiprazole 2-and-3 milligram  
12 group demonstrated a statistically significant  
13 improvement versus placebo on both the primary and  
14 secondary efficacy analyses.

15 The figure on the left provides the time  
16 course of response for the change in the CMAI total  
17 score over 12 weeks. The longitudinal response  
18 profile similarly suggests the separation of the  
19 treatment effect starting at 4 weeks of treatment.  
20 Additional analyses were conducted by the applicant  
21 to evaluate the individual treatment effects of the  
22 brexpiprazole 2-milligram and 3-milligram arms

1 separate. Reductions in the CMAI total score and the  
2 CGIS reached nominal statistical significance for  
3 both the brexpiprazole 2-milligram and 3-milligram  
4 arms when analyzed separately.

5 Further evaluation of the CMAI subscales also  
6 indicated a nominally significant improvement with  
7 brexpiprazole over placebo that was consistent across  
8 all three domains. Similar to Study 284,  
9 brexpiprazole exerted its greatest effect on the  
10 aggressive behavior domain.

11 Overall, the brexpiprazole clinical  
12 development program for AAD consisted of three  
13 adequate and well-controlled trials intended to  
14 provide substantial evidence for effectiveness.  
15 Based on the study results, the applicant  
16 demonstrated a statistically significant treatment  
17 effect with the brexpiprazole 2-milligram group in  
18 Study 283 and with the combined brexpiprazole 2- and  
19 3-milligram groups in Study 213. Although Study 284  
20 failed to meet its primary endpoint, the study did  
21 provide supportive evidence of efficacy by showing  
22 that the treatment effect among subjects titrated to

1 brexpiprazole 2 milligrams was nominally significant  
2 relative to placebo.

3           This observed treatment effect was also  
4 numerically similar to the results with the  
5 brexpiprazole 2-milligram group shown in Studies 283  
6 and 213. Additional exploratory evaluation on the  
7 CMAI factor subscores also indicated nominally  
8 consistent trends in the improvement in physical,  
9 aggressive, non-aggressive, and verbally agitated  
10 behaviors. Although the applicant enriched Study 213  
11 to include a study population that exhibited  
12 aggressive behaviors at baseline, subgroup analyses  
13 suggested that the treatment effect was also present  
14 among subjects who exhibited significant physically  
15 non-aggressive and verbally agitated behaviors.

16           In comparison with the current literature,  
17 trials evaluating other antipsychotics and  
18 alternative treatments for AAD suggest very limited  
19 evidence of efficacy with serious risks and  
20 tolerability concerns. Specifically related to this  
21 application, the benefit-risk analysis for  
22 brexpiprazole in AAD requires weighing the observed



1 benefits against the recognized risks of mortality in  
2 elderly patients with dementia-related psychosis.  
3 Therefore, to better contextualize the underlying  
4 mortality risk associated with brexpiprazole, a  
5 juxtaposition of findings from this program and FDA's  
6 previous meta-analysis of antipsychotics is needed.

7           The safety evaluation for this application is  
8 primarily based on the three previously mentioned  
9 studies. In addition, the applicant conducted two  
10 additional safety studies, a 2-month observational  
11 post-treatment rollover study and a 12-week active  
12 treatment extension study. Given the current boxed  
13 warning and to better understand brexpiprazole's risk  
14 for mortality, the review team primarily focused on  
15 deaths observed across all phase 3 studies. A review  
16 of safety also consisted of an evaluation of adverse  
17 events, laboratory assessments, and other safety  
18 findings to compare with the known safety profile  
19 observed in adults with schizophrenia and major  
20 depressive disorder.

21           In the early 2000s, the FDA received several  
22 reports of serious cerebrovascular adverse events and

1 issued warning statements for several antipsychotic  
2 product labels. Given the number of reports and the  
3 growing concerns, the agency conducted a  
4 meta-analysis to systematically assess the available  
5 data to determine the magnitude and consistency of  
6 the reported mortality risk.

7 The agency's 2005 meta-analysis included  
8 17 randomized, short-term, placebo-controlled trials  
9 of five atypical and one typical antipsychotic in  
10 elderly patients with dementia. The database  
11 included approximately 5400 subjects, 3600 of which  
12 were randomized to active treatment and 1800 of which  
13 received placebo. The average age of subjects  
14 included in the database was approximately 81 years,  
15 and with regards to study duration, 7 out of the  
16 17 studies evaluated treatment over 10 weeks, and  
17 four of the studies were 12 weeks in length.

18 The meta-analysis revealed a 70 percent  
19 increased risk of death in subjects treated with an  
20 antipsychotic versus placebo. Over the course of a  
21 10-week trial, the rate of death was 4.5 percent  
22 among the drug-treated group versus 2.6 percent in

1 the placebo-treated group. Although the causes of  
2 death vary, most of the deaths appear to be either  
3 cardiovascular or infectious in nature. Because  
4 there are a limited number of well-defined cases, the  
5 specific mechanism by which these antipsychotics  
6 increase the risk of death still remains unclear.

7           Based on these data, the agency required a  
8 boxed warning for all second-generation  
9 antipsychotics in 2005, and later expanded the scope  
10 of the warning later in 2008 to all typical  
11 antipsychotics. Due to the higher incidence of  
12 stroke and transient ischemic attacks, the agency  
13 also included a class warning for cerebrovascular  
14 adverse events for all antipsychotics.

15           The graph on the left describes the  
16 cumulative hazard of death over time, based on  
17 subjects included in the 2005 database. As you can  
18 see, the hazard of death appears to be persistent  
19 over the course of 100 days and proportional between  
20 the two groups. When looking at the figure more  
21 closely, the lack of concentration of deaths closer  
22 at the time of drug initiation suggests that

1 antipsychotics may not be the direct cause of death,  
2 and instead, the steady rise in the cumulative events  
3 with a higher rate in the antipsychotic group versus  
4 placebo rather suggests an indirect effect on death  
5 due to exogenous causes.

6 By assessing the timing of death relative to  
7 adverse events and drug exposure, the previous data  
8 suggest that the drug was not usually the direct  
9 cause of death but may be associated with worsening  
10 outcomes. As commonly seen with antipsychotic trials  
11 in elderly patients, adverse events are a common  
12 reason for dropout. Non-fatal adverse reactions to  
13 drug could prevent subjects from further study  
14 participation and still increase the risk of death  
15 over time.

16 Even though there's little ambiguity in  
17 recognizing death, there's often difficulties in  
18 deciding which deaths are relevant and in gathering  
19 accurate mortality data in subjects that become lost  
20 to follow-up. Therefore, it is important when  
21 conducting mortality analyses in these contexts that  
22 an appropriate sampling time frame to count deaths is

1 specified in order to accurately estimate the risk of  
2 mortality.

3           This visual example provides further  
4 highlights to a potential bias associated with  
5 incorrectly specifying a sampling time frame when  
6 counting deaths. In this hypothetical example, we're  
7 using a similar trial design where subjects were  
8 randomized to drug or placebo and were evaluated over  
9 a course of a 12-week trial. Similar to the  
10 previously discussed trials, this example also  
11 includes a 30-day follow-up assessment after the last  
12 dose of study medication.

13           I'll walk you through two scenarios where the  
14 subject received placebo and active treatment, and in  
15 both scenarios, the subject is destined for death at  
16 day 84. Patient A in blue is randomized to receive  
17 antipsychotic, but experiences an adverse reaction  
18 that causes them to drop out at day 30. Because of  
19 the follow-up, investigators reassess the subject  
20 30 days after the last dose of study medication,  
21 which in this case would be at day 60. If we were to  
22 use the sampling time frame of 30 days after the last

1 dose of medication to count deaths, this death would  
2 not be counted in the drug arm.

3           Alternatively, if the same Patient A was  
4 randomized to placebo, which is shown in gray, they  
5 would not have dropped out from the study due to  
6 drug-related adverse reaction, and would have  
7 received treatment for the entire duration of the  
8 study. When the subject dies at day 84, the death is  
9 then subsequently counted in the placebo arm.  
10 Therefore, the proposed sampling time frame for  
11 counting deaths up to 30 days after the last dose of  
12 study medication could artificially lower the  
13 background rate in the drug arm and underestimate the  
14 mortality risk. We aim to apply these principles to  
15 estimate the underlying mortality risks associated  
16 with brexpiprazole in this program.

17           Because each of the phase 3 studies consisted  
18 of a similar duration of treatment and follow-up  
19 observation period, the review team focused on deaths  
20 across all three 12-week phase 3 studies. Across the  
21 three studies, the applicant reported a total of  
22 9 deaths; 8 subjects received brexpiprazole and

1 1 subject received placebo. The applicant also  
2 reported one death in a subject that was enrolled in  
3 Study 211 that previously received brexpiprazole in  
4 Study 284. There were no deaths reported in the  
5 active extension treatment study.

6 This figure provides a visual timeline of  
7 death relative to the last dose of study medication.  
8 The adverse events described for each subject was  
9 with the listed AE resulting in the fatal outcome of  
10 interest. Of the 9 deaths, six occurred after the  
11 last dose of the study drug and prior to 30 days of  
12 post-dose follow-up. While the incidence of death  
13 was unbalanced between the two groups, the overall  
14 incidence was relatively lower than that was observed  
15 in the 2005 FDA database. Based on the individual  
16 summary narratives, there was no clear pattern in the  
17 cause of death, and cases were often confounded by  
18 the underlying comorbidities, advanced age, and  
19 concomitant medications that are consistent with an  
20 AD patient population.

21 Based on the time frame of counting deaths  
22 that occurred within 30 days after the last dose of

1 study medication, the applicant estimated the  
2 incidence of death for the brexpiprazole group was  
3 0.92 percent and 0.26 percent for the placebo group.  
4 In each of the phase 3 double-blind study protocols,  
5 the applicant indicated that the investigators would  
6 collect mortality status information by telephone at  
7 week 16 for all subjects who terminated early from  
8 the trial. All study completers and subjects who  
9 were withdrawn prematurely for any reason also  
10 underwent a safety evaluation 30 days after receiving  
11 the last dose of the study medication.

12 In our opinion, the review team believes that  
13 the applicant's sampling time frame to count deaths  
14 introduces the same bias that was previously  
15 discussed. In order to compare the findings from  
16 this program with the previous agency's meta-analysis  
17 and to limit this bias, a similar methodological  
18 approach was applied to estimate brexpiprazole's  
19 mortality risk in the AAD population.

20 Given the confidence in collected mortality  
21 status information at the 30-day safety follow-up  
22 period and at the week-16 mortality assessment, the



1 review team selected a sampling time frame of  
2 114 days, which is equivalent to the intended period  
3 of observation of 12 weeks plus 30 days of the safety  
4 follow-up. This then provides an equal time frame to  
5 count deaths in both treatment groups.

6 Using the 114-day sampling time frame, the  
7 analysis included 7 deaths in the  
8 brexpiprazole-treated group and 1 death in the  
9 placebo group. In comparison with the applicant's  
10 finding, these counts only include one additional  
11 death in the brexpiprazole group.

12 The results of the mortality analysis  
13 demonstrated an incident-risk ratio of 4.16. Due to  
14 the small number of events in the program, there is  
15 great uncertainty in estimating the mortality risk as  
16 depicted by the wide confidence intervals shown in  
17 the forest plot on the right. The relatively low  
18 number of events in the placebo arm in this program,  
19 relative to the incidents observed from prior  
20 studies, included in the 2005 database, also adds to  
21 the uncertainty of the estimated mortality risk and  
22 may also be insufficient to characterize the

1 background rate of death in this population.

2 Although the mortality risk of brexpiprazole  
3 appears to follow a similar trend with other  
4 antipsychotics, the relatively few number of deaths  
5 cast additional uncertainty regarding the risk  
6 amongst the elderly patient population that will be  
7 prescribed the drug in the real world. Due to the  
8 evidence of the use of antipsychotics to treat  
9 psychosis and agitation results in higher mortality,  
10 we believe the boxed warning should remain to  
11 adequately inform healthcare providers, patients, and  
12 their caregivers.

13 In general, other safety findings were  
14 similar with the brexpiprazole known safety profile  
15 observed in patients with schizophrenia and major  
16 depressive disorder. The results of the active  
17 extension treatment study further suggested that  
18 continued treatment with brexpiprazole for up to  
19 24 weeks did not reveal any new clinically meaningful  
20 safety signals.

21 In conclusion, there's a serious unmet  
22 medical need for the treatment of AAD. The applicant

1 appears to have provided substantial evidence of  
2 effectiveness for brexpiprazole for the use in AAD;  
3 however, brexpiprazole's mortality risk appears to be  
4 consistent with other antipsychotics in the elderly  
5 patients with dementia. With the available  
6 information regarding brexpiprazole's benefit-risk  
7 profile, we're looking forward to discuss  
8 brexpiprazole's clinical implications as a potential  
9 first-in-class product for the treatment of AAD.

10 That brings us to the questions for the  
11 committee, which we hope to guide our discussion  
12 later in the day. I want to thank you for your  
13 attention, and we'll now open for any clarifying  
14 questions. Thank you.

15 **Clarifying Questions to FDA**

16 DR. NARENDRAN: We will now take clarifying  
17 questions for the FDA. Please use the raise-hand  
18 icon to indicate that you have a question, and  
19 remember to lower your hand by clicking the  
20 raise-hand icon again after you have asked your  
21 question. When acknowledged, please remember to  
22 state your name for the record before you speak and

1 direct your question to a specific presenter, if you  
2 can. If you wish for a specific slide to be  
3 displayed, please let us know the slide number, if  
4 possible.

5 Finally, it would be helpful to acknowledge  
6 the end of a question with a thank you and end your  
7 follow-up question with, "That is all my questions,"  
8 so we can move on to the next panel member.

9 Our first question is from Dr. Cudkowicz.

10 DR. CUDKOWICZ: Hi. Thank you for that  
11 really clear presentation. I don't know if you can  
12 answer this, but I was wondering your thoughts about  
13 that these particular deaths -- or 7 deaths -- are  
14 different from the ones you described in  
15 meta-analyses, the cardiovascular and infection, or  
16 maybe there's one infection in the placebo.

17 I was wondering what your are on that, and if  
18 you saw these other ranges in those meta-analyses.  
19 I'm struggling a little bit with how to interpret it  
20 since we're not seeing the same type of risks that  
21 are seen with the other antipsychotics.

22 DR. FARCHIONE: This is Tiffany Farchione,

1 just responding. I can pass this question to  
2 Dr. Stone. He also has a backup slide that he'd like  
3 to show related to this. But I think, just in  
4 general, one of the things that we can say about all  
5 of the deaths across all of the antipsychotic  
6 programs is that there is no unifying theme. We  
7 can't look at them and say like, "Okay. This is a  
8 unifying cause of death across all programs," or  
9 something that we can pinpoint to say this is how  
10 antipsychotics are causing death. We don't have that  
11 link. All we have is this association with a higher  
12 rate.

13 Dr. Stone, I can pass that to you for further  
14 clarification.

15 DR. STONE: Yes. Thank you. If you can see  
16 backup slide number 30, and put it up there, please.

17 DR. FARCHIONE: And please make sure to go on  
18 video when you're speaking.

19 DR. STONE: I think I'm on video.

20 DR. FARCHIONE: You are. I just didn't have  
21 enough boxes showing.

22 DR. STONE: Okay. Yes.

1           No, that's the presentation slide. We want  
2 backup slide 30.

3           This is the distribution of deaths, in red,  
4 death rates between drug and placebo for all the  
5 various causes of death that were identified in the  
6 meta-analysis, the red lines being confidence  
7 interval. So you can see they're across the board.  
8 Some are numerically larger but have pneumonia, but  
9 have a large confidence interval; others like sepsis  
10 are small and absolute terms, but have higher  
11 confidence intervals.

12           But it's also very difficult to describe the  
13 cause of death with a great deal of reliability and  
14 precision because this was a retrospective look at  
15 various reports. Also, it's quite common in clinical  
16 studies that the analyses are done on an unblinded  
17 basis, and the deaths that are associated with the  
18 study drug are looked at a lot more carefully than  
19 the deaths that are associated with placebo or even  
20 an active control, so there's also an element of  
21 reliability there.

22           But again, we see all sorts of causes of

1 death, and as Dr. Kalaria pointed out, it seems that  
2 what is occurring is that various health events are  
3 occurring, and their outcomes are worse in patients  
4 who were being treated with the antipsychotics.  
5 Maybe that's because when patients are calmer, they  
6 don't get as much attention and there's less  
7 recognition that something is going wrong, and that  
8 leads to a fatal outcome rather than one where the  
9 patient survives. That may also be very much the  
10 idea of calmness, where they're not being seen  
11 because they're not being agitated. It also may be  
12 that because they're calm, they're not going to make  
13 noise and they're not going to complain.

14           So it's a difficult issue but, again, I think  
15 it's a mistake to characterize the deaths as being  
16 something that the drug is doing directly to the  
17 patient. It's an interaction with the underlying  
18 morbidity, which is one reason why we perhaps saw so  
19 many fewer deaths.

20           In the brexpiprazole study, the average age  
21 was quite a bit younger -- it is age 74 -- and in  
22 these early studies, the average was 81, so we'd

1 expect to have a much lower background mortality  
2 rate, and the mortality rate for the brexpiprazole in  
3 this case was remarkably low, far less than you would  
4 have expected even for a cohort of people that age,  
5 and sex, and ethnicity, and nationality. We did that  
6 analysis, and the expected number of deaths in the  
7 placebo group, based on demographics, was 4 times  
8 greater than what was observed.

9 DR. CUDKOWICZ: Thank you. That's very  
10 helpful.

11 DR. NARENDRAN: The next question is from  
12 Dr. Paganoni.

13 DR. PAGANONI: Thank you very much. This is  
14 Sabrina Paganoni. I have a question for the agency.  
15 Thank you for the clear presentation. The discussion  
16 points and the voting question have a lot to do with  
17 the overall benefit-risk assessment, and I noticed  
18 that on slide 37, you refer to your 2018 Type C  
19 guidance meeting, and the last bullet point states  
20 that the agency agrees that the long-term safety  
21 study would not be a pre-approval requirement but  
22 could be a phase 4 commitment.



1           So I was wondering, is this phase 4  
2           commitment a consideration that we should consider as  
3           we discuss today, or no? If it's something that we  
4           should consider, can you tell us more about it?

5           DR. FARCHIONE: Any postmarketing  
6           requirements or commitments would be things that,  
7           obviously, we're going to discuss during our review  
8           process. If the committee feels like more safety  
9           information would be helpful in terms of clarifying  
10          the signal or anything along those lines, we would  
11          love to hear what kind of information you'd be  
12          interested in seeing, what sort of study design you  
13          think might be appropriate, and we can take that into  
14          consideration as we determine what we intend to put  
15          in the letter and what we might negotiate with the  
16          sponsor.

17          So certainly if that's something that you  
18          want to discuss as part of the Q&A session, I think  
19          that's a reasonable topic for the committee.

20          DR. PAGANONI: Thank you very much.

21          DR. NARENDRAN: The next question is  
22          Dr. Iyengar.

1 DR. IYENGAR: This is Satish Iyengar from the  
2 University of Pittsburgh. My question is about the  
3 modeling of the bias. At the end, did you get a  
4 numerical estimate of what the magnitude of the bias  
5 might be, or is the confidence interval for that so  
6 big that it's not that useful?

7 DR. FARCHIONE: I think that would be a  
8 question for Dr. Stone.

9 DR. STONE: The time frame was set up to  
10 avoid the bias, so it should be an unbiased  
11 assessment. As Dr. Kalaria pointed out, the way we  
12 analyze it, it added one additional death to the  
13 brexpiprazole group compared to what the applicant  
14 described, and that confidence interval is based on  
15 that unbiased assessment of the attention to observe  
16 for 114 days and our ability to observe both drug and  
17 placebo patients for 114 days and the rate of death  
18 within those 114-day periods. As Dr. Kalaria said,  
19 death is pretty unambiguous, and we are quite  
20 confident that all those deaths within the 114-day  
21 period were detected.

22 So that's an unbiased estimate, and just

1 because the numbers of deaths were so low,  
2 particularly among placebo, you have limited numbers,  
3 and that's why the confidence interval was wide.

4 DR. IYENGAR: Thank you.

5 DR. NARENDRAN: The next question is  
6 Dr. Weisman.

7 DR. WEISMAN: Hi. Thank you. I had a  
8 question in that I'm struck by slide 559 that states  
9 that brexpiprazole's mortality risk is consistent  
10 with other antipsychotics used, but in a previous  
11 slide, CO-54, in the previous discussion we learned  
12 that it's actually really not consistent. It's much,  
13 much lower; it's much smaller, up to 4.5 percent  
14 treated with typical antipsychotics versus 1 percent  
15 with these studies, and that's a really important  
16 comparison, I think, because that's really the  
17 standard of care that's out there currently, along  
18 with a whole bunch of other medications in  
19 polypharmacy, as previously alluded.

20 Maybe I'm missing something in slide 559  
21 about how it's consistent with other antipsychotics.  
22 Do you mean the relative risk between drug and

1 placebo? Thank you.

2 DR. STONE: Dr. Stone?

3 DR. STONE: Yes. It's the relative risk, and  
4 maybe if we could have backup slide 27, please?

5 As I said, the rate of mortality is low with  
6 brexpiprazole compared to the other antipsychotics,  
7 but it's much, much lower with this placebo group  
8 than compared to other antipsychotics. As you can  
9 see here, there's a difference in mean age of the  
10 population. The mortality rate observed on an  
11 annualized basis, brexpiprazole was a quarter of what  
12 we saw with the other antipsychotics, but the placebo  
13 rate was 10 times lower.

14 So the question is whether there was an  
15 unusually robust or healthy group of patients that  
16 may not be reflective of the target population. It  
17 may also be the case that it was just a statistical  
18 fluke, and that very few people died in the placebo  
19 group, as I said before, and the expectation, based  
20 on the age and sex and ethnicity and nationality,  
21 that the placebo death rate should have been 4 times  
22 what was observed.

1           At the bottom of the slide, there's another  
2 comparison where the observed mortality rate was what  
3 you would expect in a group of 59 year olds, not in a  
4 group of 74 year olds, so that's throwing the  
5 situation off. So either there's little increase in  
6 brexpiprazole based on what you'd expect to see for  
7 that demographic group, and there was something  
8 unusual, fluky, about the placebo group mortality  
9 being low, or that this was overall a very relatively  
10 healthy group of people for their age, and the  
11 mortality in the placebo group was reflecting that,  
12 and, in fact, the brexpiprazole group showed a higher  
13 rate of mortality. But again, the numbers are very,  
14 very small, so that's why we have wide confidence  
15 intervals, and you really can't say for sure.

16           DR. FARCHIONE: And I do want to just drive  
17 home the point that we're talking about the data  
18 observed in a clinical development program here,  
19 whereas the other data that we have that led to the  
20 boxed warning was a large meta-analysis based on both  
21 clinical trial data and also on postmarketing reports  
22 of deaths. So the numbers here are much smaller, the

1 confidence intervals are wider, so it really is  
2 difficult to compare. But the comparison we can make  
3 is that the signal is still there, it's still exists,  
4 and it appears to be relatively consistent when you  
5 take all of these different factors into  
6 consideration.

7 DR. STONE: Yes, just one small -up  
8 correction. The meta-analysis was based entirely on  
9 clinical trial.

10 DR. STONE: Based entirely on  
11 clinical -- okay. Thank you, Marc.

12 DR. NARENDRAN: Our next question is  
13 Ms. Witczak.

14 MS. WITCZAK: Hi. Kim Witczak. I think it's  
15 probably along the same lines that we just talked  
16 about. I know it was on page 34 of the briefing  
17 documents, but it was on your presentation, where it  
18 was 4.16 with the study drug, and then the overall  
19 meta-analysis. I can understand why we need the  
20 black box warning because it is still an  
21 antipsychotic, but have other companies -- because  
22 I'm still struggling with this idea of unmet need.

1           I mean, it's off label. We have real-world  
2 data? Do we have real-world data? I know this is  
3 the clinical analysis, but because it is, and a  
4 doctor can use it any way they want, is there any  
5 real-world data that we can actually look into that  
6 has been put into any of the MedWatch systems or any  
7 of that? Because, to me, this option is already been  
8 out there, so there's got to be some learning. And  
9 if that is double the risk compared to what's out  
10 there, I'm not sure it's the right thing. Then I  
11 know we've got issues with coverage because a lot of  
12 the nursing homes have come out and said they're not  
13 going to cover it.

14           So is this really about getting it covered;  
15 because it's now an official indication? So these  
16 are all things -- and maybe it's more of a discussion  
17 for this afternoon, but I'm wondering if you could  
18 just tell me if there is anything in the data that's  
19 already been reported through like a MedWatch system.

20           DR. FARCHIONE: Yes. We have very little  
21 postmarketing data for brexpiprazole in this  
22 population, so in terms of any FAERS reports or

1 anything like that, we have very little data.

2 From our pharmacovigilance team, do we have  
3 anybody who is able to chime in? Do we have  
4 anybody -- Dr. Vicky Chan, would you like to weigh in  
5 on this at all?

6 DR. CHAN: Yes. Hi. Vicky Chan, Division of  
7 Pharmacovigilance from the FDA.

8 In 2017, we completed a surveillance summary,  
9 which is an overview of the safety of brexpiprazole  
10 that included over 3,000 FAERS reports for  
11 brexpiprazole. Just as Dr. Farchione mentioned, we  
12 had very few reports of patients 65 and older. More  
13 recently, we did conduct a review to look at patients  
14 65 and older to take a closer look to see if there  
15 were any reports of patients with this particular  
16 indication, and once again, we had very few reports;  
17 I want to say that about about five reports in  
18 patients 65 and older, so that's pretty much what we  
19 have. Thank you.

20 DR. NARENDRAN: Our next question is from  
21 Dr. Thomas.

22 DR. THOMAS: Hello. Patrick Thomas, Baylor



1 College of Medicine.

2           Given the exclusionary criteria that were  
3 used in the applicant's studies, the exclusionary  
4 criteria -- if you can kind of summarize that -- was  
5 it that different in the meta-analysis? And if it  
6 was different, substantially, how would that affect  
7 your interpretation of real-world applicability in  
8 terms of mortality?

9           DR. FARCHIONE: Dr. Stone?

10           DR. STONE: Yes. Well, the most obvious  
11 difference is the difference in age. There may also  
12 have been some factors in terms of how patients were  
13 selected in terms of the definition of agitated  
14 Alzheimer's disease. These other studies, generally,  
15 the indication was dementia-related psychosis, and I  
16 think there's some question as to whether that's a  
17 real thing, but that's how things were considered;  
18 that these were people who were displaying some kind  
19 of psychotic symptom, which, of course, very agitated  
20 hostile behavior could possibly be a psychotic  
21 symptom, but were also perhaps more benign delusions  
22 and that sort of thing as well.

1 DR. THOMAS: I guess I was wondering more in  
2 terms of whether cardiovascular history of that was  
3 excluded, more so or less, in the studies that you  
4 looked at in the meta-analysis versus what would  
5 happen in the study.

6 DR. STONE: Right. Well, again, there were  
7 many different studies, but I don't think so because  
8 it was a targeted population, where the prevalence of  
9 these conditions is so high, it would be nearly  
10 impossible to conduct a study if you were tight in  
11 your exclusions.

12 DR. THOMAS: Thank you.

13 DR. NARENDRAN: So there's still a  
14 substantial amount of time? Are there any other  
15 questions to the agency?

16 Ms. Witczak?

17 MS. WITCZAK: Yes. I have a question. Has  
18 any other company ever come before you with this  
19 desire to get it approved for this application? I  
20 find it interesting that these products have been on  
21 the market that nobody else has ever come and tried  
22 to get this indication; and if they have, what were

1 the results? Obviously, it didn't pass because it's  
2 not there with that indication. And if they haven't,  
3 is there any kind of insight you could offer on that?

4 DR. STONE: Yes. We can't actually comment  
5 on any other development programs or anything that's  
6 under review. If you're interested in any publicly  
7 available information, I would recommend maybe  
8 checking [clinicaltrials.gov](https://clinicaltrials.gov) to see what kind of  
9 trials have gone on, but we we can't comment on  
10 anything like that.

11 DR. NARENDRAN: I don't see any other raised  
12 hands. There's one more.

13 DR. CUDKOWICZ: Sorry. I don't know if this  
14 is a question you can answer, but just curious about  
15 what kind of options would there be, let's say, if it  
16 would be important to know the safety in people older  
17 than 80, at postmarketing, to collect that data in a  
18 way that would give meaningful results, given that  
19 there wouldn't be a placebo. I don't know all the  
20 regulatory options out there to do something like  
21 that. Is that something that you can discuss?

22 DR. FARCHIONE: Yes. There are a variety of

1 tools that we can use for monitoring and assessing  
2 postmarketing safety. I think what would be  
3 important for us to hear from the committee is where  
4 you think the holes are in the data, and then we can  
5 kind of explore internally which of our various tools  
6 would be appropriate. And if there is something that  
7 you think is important for us to know and we don't  
8 have a tool in our armamentarium in order to either  
9 request or require those studies, then that's also  
10 important for us to know. So I think that the better  
11 focus is on the type of information, and then on the  
12 regulatory side, we can figure out what we have.

13 DR. CUDKOWICZ: Okay. Thank you.

14 DR. NARENDRAN: Any other questions in from  
15 panel?

16 (No response.)

17 DR. NARENDRAN: I know we cut short a couple  
18 of people's questions for the sponsor. Do you have  
19 any questions for the sponsor before we decide to  
20 break?

21 (No response.)

22 DR. NARENDRAN: None? Okay. If that's all

1 we have, we could now break for lunch. It will be a  
2 little bit longer than what was planned. We will  
3 reconvene at 1:30 p.m. Eastern Standard Time.

4 Panel members, please remember that there  
5 should be no chatting or discussion of the meeting  
6 topics with other panel members during lunch.  
7 Additionally, you should plan to reconvene at around  
8 1:20 p.m. to ensure you're connected before we  
9 reconvene at 1:30 p.m. Thank you.

10 (Whereupon, at 12:10 p.m., a lunch recess was  
11 taken, and the meeting resumed at 1:30 p.m.)

12

13

14

15

16

17

18

19

20

21

22

1                   A F T E R N O O N   S E S S I O N

2   (1:30 p.m.)

3   **Open Public Hearing**

4                   DR. NARENDRAN: We will now begin the open  
5 public hearing session.

6                   Both the FDA and the public believe in a  
7 transparent process for information gathering and  
8 decision making. To ensure such transparency at  
9 the open public hearing session of the advisory  
10 committee meeting, FDA believes that it is  
11 important to understand the context of an  
12 individual's presentation.

13                   For this reason, FDA encourages you, the  
14 open public hearing speaker, at the beginning of  
15 your written or oral statement to advise the  
16 committee of any financial relationship that you  
17 may have with the applicant, its product, and if  
18 known, its direct competitors. For example, this  
19 financial information may include the applicant's  
20 payment of your travel, lodging, or other expenses  
21 in connection with your participation in the  
22 meeting.

1           Likewise, FDA encourages you, at the  
2 beginning of your statement, to advise the  
3 committee if you do not have any such financial  
4 relationships. If you choose not to address this  
5 issue of financial relationships at the beginning  
6 of your statement, it will not preclude you from  
7 speaking.

8           The FDA and this committee place great  
9 importance in the open public hearing process. The  
10 insights and comments provided can help the agency  
11 and this committee in their consideration of the  
12 issues before them.

13           That said, in many instances and for many  
14 topics, there will be a variety of opinions. One  
15 of our goals for today is for the open public  
16 hearing to be conducted in a fair and open way,  
17 where every participant is listened to carefully  
18 and treated with dignity, courtesy, and respect.  
19 Therefore, please speak only when recognized by the  
20 chairperson. Thank you for your cooperation.

21           Speaker number 1, please unmute and turn on  
22 your webcam. Will speaker number 1 begin and

1 introduce yourself? Please state your name and  
2 organization you are representing, for the record.

3 MR. KREMER: Thank you for the opportunity  
4 to offer comments. I'm Ian Kremer, executive  
5 director of the LEAD Coalition, the uniting voice  
6 of more than 200 member and allied organizations,  
7 working to improve quality of life for people  
8 facing Alzheimer's disease and related disorders  
9 while advancing the science to end dementia.

10 I have two disclosures. First, the sponsor  
11 and some of its competitors are LEAD Coalition  
12 member organizations; however, the vast majority of  
13 our members and allies are patient advocacy  
14 organizations. Second, I'm a member of the CMS  
15 Medicare Evidence Development and Coverage Advisory  
16 Committee.

17 Like many of you, I've known thousands of  
18 people with lived experience of Alzheimer's. Like  
19 many of you, my family repeatedly has been hit hard  
20 by dementia. The most recent loss was on  
21 December 24 when my beloved, brilliant father died  
22 after a long struggle with mixed dementia. We were



1 lucky because my father was spared the worst  
2 cruelties of agitation that my grandparents and so  
3 many others have suffered.

4 Non-pharmacological approaches to agitation  
5 work with some people and work in part for others.  
6 The IPA's agitation workgroup assessment and  
7 treatment algorithm rightly calls for these  
8 interventions to be tried first and thoroughly, but  
9 when non-pharmacological interventions prove  
10 insufficient, people living with agitation and  
11 their physicians should have meaningful access to  
12 FDA-approved, on-label pharmacological options.

13 The agitation experienced by millions of  
14 people is neither mild nor benign. For them, this  
15 is not a bit of fidgeting or an attempt to  
16 communicate a want or need such as pain management;  
17 this is agitation reflective of often extreme,  
18 unrelenting distress. Their agitation can be so  
19 intense and overwhelming that it causes self-harm  
20 and harm to others; caregiver retaliation; erosion  
21 of family bonds; premature institutionalization;  
22 and institutional care eviction. No one should

1 have to endure such symptoms that fundamentally  
2 undermine quality of life.

3 At a minimum, this agitation can be  
4 psychologically devastating. At its worst, this  
5 agitation can become life-threatening. Clinically,  
6 this would be called an increased risk of morbidity  
7 and mortality among people living with agitation  
8 and their families. We call it a living hell. For  
9 us, Dr. Atri's slide number 63, combined with the  
10 absence of sedation, is our North Star and our  
11 hope.

12 People living with Alzheimer's agitation,  
13 their families and doctors need options for  
14 on-label use of effective medications. Currently  
15 they have no FDA-approved, on-label medications to  
16 alleviate the symptoms and the psychological and  
17 physical harms. Today, you will help to determine  
18 whether their hopes, their urgent needs, will be  
19 met. The stakes for your deliberations and FDA's  
20 decision could not be higher for people whose lives  
21 are most profoundly affected by Alzheimer's  
22 disease. Thank you for your commitment to our

1 community.

2 DR. NARENDRAN: Thank you.

3 Speaker number 2, please unmute your mic and  
4 webcam. Please introduce yourself. State your  
5 name and organization, for the record.

6 DR. PATEL: I'm Ashok Patel. I'm an  
7 independent worker. I did geriatric psychiatry  
8 fellowship at Cornell, and I represent myself. My  
9 daughter is also geriatric faculty in New York  
10 City. I represent my patients mainly. I was  
11 trained in a nursing home, and I've been working  
12 there for 30 years now. I see these patients. I  
13 was there last in the past week. I was there for  
14 two days, and when I walked in, the nurse said,  
15 "Look at my scar. That patient with agitation did  
16 it."

17 We are dealing with 30 percent of these  
18 patients who have behavior problems in nursing. I  
19 work in nursing on a one-on-one basis. I have been  
20 teaching residents and geri fellows. I do  
21 research. I've done research with the sponsor, as  
22 well as other companies, looking at alternatives to

1 help these very, very difficult patients. In the  
2 current time, we do use numerous other methods to  
3 help. The nursing home does, by itself, all the  
4 other non-pharmacological methods. They're  
5 somewhat helpful, but not really that great, and we  
6 then end up using multiple combinations of  
7 benzodiazepines, atypical psychotics, and all that.

8 I have had experience with brexpiprazole.  
9 My own experience, particularly, is this is a  
10 patient who is a retired attorney. She was  
11 admitted because at home she could not recognize  
12 her husband. She would think he's an imposter.  
13 She called 911. She called the cops. She would  
14 beat up the husband, and all those behavior issues  
15 were happening that forced her to be in a nursing  
16 home.

17 Her son is an oncologist. He called me and  
18 said, "Doc, can you at least do something?" I  
19 said, "I have these programs. Let me see what I  
20 can do." We put her on the brexpiprazole program,  
21 and she was on open label. At the nursing home in  
22 the beginning, she would be in the dining room and

1       throw the whole plate to all these people around  
2       them. She would throw things. She would bite,  
3       hit, and scratch caregivers, so the caregivers  
4       thought, "Okay. Let's treat her in her own room."  
5       In her own room, she would be very belligerent,  
6       cursing, and screaming.

7               What happened then also is, these  
8       caregivers, when somebody is screaming and hitting  
9       them, they are also not that much interested in  
10      treating her. Her diapers don't get changed in  
11      time. She has --

12             DR. NARENDRAN: Speaker number 2, your time  
13      is almost up.

14             DR. PATEL: Okay.

15             So what I'm trying to say is with the  
16      brexpiprazole, we have seen much more benefit. We  
17      have continued to use it. We have no issues with  
18      any major side effects, and there's no  
19      discontinuation issues with the program. I  
20      appreciate your giving me a couple of minutes to  
21      talk about my experience in the nursing home.  
22      Thank you.

1 DR. NARENDRAN: Thank you.

2 Speaker number 3, please unmute your  
3 microphone and turn on your webcam. Please state  
4 your name and organization, for the record.

5 DR. ZELDES: Good afternoon. I'm Nina  
6 Zeldes, a health researcher at Public Citizen's  
7 Health Research Group. I have no financial  
8 conflicts of interest. I had slides.

9 Can you see my slides?

10 DR. NARENDRAN: We see them now.

11 DR. ZELDES: Perfect. Thank you so much.

12 Public Citizen strongly opposes FDA approval  
13 of brexpiprazole for the treatment of agitation in  
14 patients with Alzheimer's disease because, first,  
15 this drug's small benefit does not outweigh the  
16 significant risk and, second, due to the  
17 limitations of the provided data, a population for  
18 which the benefits outweigh the risks cannot be  
19 identified.

20 The evidence regarding efficacy is based on  
21 three studies of which only two reached statistical  
22 significance over placebo for the primary endpoint.

1 Moreover, in Study 283, statistical significance  
2 was only reached in the 2-milligram group. The  
3 treatment difference, on a score that ranges from  
4 29 to 203 points, was minus 3.77, a result that FDA  
5 did not consider, quote, "statistically  
6 persuasive," unquote. Study 284 did not reach  
7 statistical significance. While the combined  
8 treatment difference of minus 5.32 in Study 213 was  
9 statistically significant, additional analysis,  
10 showed that for the secondary endpoint, only the  
11 3-milligram group reached statistical significance.  
12 Based on these results, we disagree with the FDA's  
13 assessment that there is substantial evidence of  
14 effectiveness.

15           These limited benefits stand in opposition  
16 to serious safety concerns. For instance, common  
17 adverse events in subjects treated with this drug  
18 included urinary tract infections, somnolence, and  
19 insomnia. Subjects in the treatment arm generally  
20 also had higher incidence of adverse events of  
21 special interest, such as cardiovascular events.  
22 Of particular concern, however, is the almost

1 5 times higher mortality risk, a risk that FDA  
2 noted, quote, "follows a similar trend with the  
3 mortality risk estimated for other antipsychotics,"  
4 end quote, as shown in figure 4 of the briefing  
5 materials.

6 Across all three studies, subjects were  
7 relatively young, had a low rate of co-morbid  
8 psychiatric symptoms, and were predominantly white.  
9 Based on the provided evidence, no patient group  
10 that would benefit from this drug was identified.  
11 Moreover, the dosing of this drug at 3 milligrams  
12 was only explored in one of the three studies.

13 In conclusion, there is not sufficient data  
14 to identify a population for whom the benefits  
15 outweigh the significant risks. Instead, like  
16 other antipsychotics, this is a drug that can kill  
17 patients without providing a meaningful benefit.  
18 We therefore urge the committee to vote no on the  
19 voting question, and strongly recommend that the  
20 FDA not approve this drug. Thank you for your  
21 time.

22 DR. NARENDRAN: Thank you.



1           Speaker number 4, please unmute your mic and  
2           turn on your webcam. Please introduce yourself and  
3           the name of your organization.

4           DR. TANN: Speaker number 4 is present. I  
5           was doing my level best to start my video camera.  
6           I'll give it one more try, and if it doesn't work,  
7           you won't see my face but you will surely hear my  
8           friendly voice.

9           Due to my activism and advocacy in the  
10          dementia space, I work with a multiplicity of orgs,  
11          but I am strictly speaking today with my voice and  
12          on my behalf. My name is Debra Tann. Allow me to  
13          speak to the specific affliction of agitation.

14          This neuropsychiatric symptom often wreaks  
15          havoc on persons living with Alzheimer's and their  
16          caregiving family. While there are no  
17          cookie-cutter medicinal answers for those  
18          suffering, brexpiprazole has revealed noteworthy  
19          clinical results. If these results offer a glimmer  
20          of family tranquility, that alone is worthy of this  
21          being a treatment option. So I say to you, if not  
22          now, then when? Thank you.

1 DR. NARENDRAN: Thank you.

2 Speaker number 5, please unmute your mic and  
3 turn on your webcam. Please introduce yourself and  
4 the name of your organization you're representing,  
5 for the record.

6 DR. SMALL: My name is Gary Small. I want  
7 to thank, first, the FDA for allowing me this time  
8 to speak with you today. I'm a geriatric  
9 psychiatrist, and I've been working with  
10 Alzheimer's patients and their families for four  
11 decades now. I've also conducted numerous research  
12 studies on the diagnosis and treatment of  
13 Alzheimer's disease. By way of disclosure, I  
14 consult with several pharmaceutical companies and  
15 other healthcare companies, including Otsuka,  
16 Lundbeck, and their competitors. But that doesn't  
17 impact my opinions today. I'm here to share my  
18 personal clinical perspective.

19 Let me start with the science by saying that  
20 I support this application. The clinical trial  
21 data are compelling. Based on my 40 years of  
22 experience as a clinical investigator, I find the

1 data on safety and efficacy reassuring and  
2 clinically relevant, and in this context, of a  
3 tremendous unmet need. Alzheimer's disease is a  
4 diagnosis with a horrible prognosis. Roughly half  
5 of Alzheimer's patients will develop agitation, the  
6 most troubling symptoms for patients and their  
7 caregivers.

8 I've known many family caregivers who are  
9 able to care for their loved ones that are  
10 suffering from moderately severe Alzheimer's  
11 disease; however, when the patient develops  
12 agitation, the burden becomes overwhelming.  
13 Primary caregivers of patients with dementia have a  
14 very high risk for developing depression  
15 themselves, and agitation in these patients further  
16 worsens caregiver stress. It accelerates the  
17 decline and quality of daily living for everyone  
18 involved and hastens patient placement into  
19 long-term care. We need help to keep patients in  
20 the community and delay long-term care placement,  
21 and this medication offers such assistance.

22 Currently, we have no medicine to treat this

1 common and troubling condition. I know how  
2 important it is to find new ways to help people  
3 with agitation due to Alzheimer's. We need to  
4 provide scientifically valid and humane ways to  
5 preserve family relationships and help patients  
6 remain in the community with their families for as  
7 long as possible. Given the compelling clinical  
8 data and great unmet need, I believe this compound  
9 is appropriate approval. Thank you.

10 DR. NARENDRAN: Thank you.

11 Speaker number 6, please unmute yourself and  
12 state your name and organization, for the record.

13 MS. VILLANIGRO-SANTIAGO: Yes. My name is  
14 Martha Villanigro-Santiago. Good afternoon,  
15 committee members. I have participated in focus  
16 groups and panels for several advocacy groups,  
17 including Otsuka, but I'm here testifying on my own  
18 behalf. Thank you for this opportunity to submit  
19 my comments as primary caregiver for my Latina  
20 mother living with Alzheimer's. I have witnessed  
21 her Alzheimer's accelerate with the untreatable  
22 agitation symptoms, which have caused a decline in

1 her physical and mental health.

2           When my mother was diagnosed with  
3 Alzheimer's 10 years ago, her primary doctor  
4 provided no information about the disease. During  
5 my mother's initial years with Alzheimer's, she did  
6 not rely on nursing homes. She was mobile and  
7 socially engaged with everyone. I consulted the  
8 psychiatrist. He explained that her negative  
9 expressions could be symptoms of Alzheimer's. He  
10 added that he could not prescribe anything to  
11 eliminate these symptoms; however, he could order  
12 medication to treat the mild mood swings. In fact,  
13 the temperament improved, and she enjoyed her days.

14           Unexpectedly, two years ago, her agitation  
15 symptoms increased. Both her symptoms and their  
16 frequency intensified. She began arguing with  
17 everyone. She refused to go to sleep, and she  
18 insisted on going home. In short, her daily  
19 enjoyment significantly declined. I tried to  
20 identify the non-medication route to address her  
21 agitation.

22           Like many other family caregivers, I began

1 to rely on a daily guessing game for identifying  
2 the best tool for maintaining my mother's quality  
3 of life. I thought I could easily identify  
4 situations or conversations that agitated her.  
5 This proved not to be as easy as I thought. I  
6 identified certain television shows that triggered  
7 my mother's anger and frustration. Her native  
8 language is Spanish; however, she could no longer  
9 watch these shows because it would make her angry  
10 and violent. After carefully selecting the TV  
11 channels, it didn't make a difference. They would  
12 also trigger anger.

13           Ultimately, I spoke with the psychiatrist.  
14 He suggested sedation or antipsychotic medication.  
15 He explained the latter would stabilize her mood  
16 and temperament but were not approved for  
17 Alzheimer's, but I saw a dramatic change in her  
18 behaviors after a combination of antipsychotic  
19 pills. She rested well. She no longer insisted on  
20 going home. Despite the warning on the label, the  
21 antipsychotic medication represented her enjoying  
22 her day with a smile.

1           In conclusion, as my mother's primary  
2 caregiver, I must learn and decide the best tools  
3 for maintaining her quality of life. Caregivers  
4 should not be forced to choose between ignoring a  
5 loved one's obvious challenges due to agitation or  
6 approving a not-approved medication to help a loved  
7 one with Alzheimer's. Thank you.

8           DR. NARENDRAN: Thank you.

9           Speaker number 7, if you could please unmute  
10 your mic and turn on your webcam. Please state  
11 your name and organization, for the record.

12           MS. PESCHIN: Sure. Hi, everyone. I'm Sue  
13 Peschin, and I serve as president and CEO of the  
14 Alliance for Aging Research. The Alliance receives  
15 funding from the sponsors and competitors for non-  
16 branded health education and advocacy on  
17 neuropsychiatric symptoms of dementia. Last night,  
18 the Alliance and the American Society for  
19 Consultant Pharmacists submitted a sign-on letter,  
20 asking all of you to consider the perspectives of  
21 people living with Alzheimer's and those who care  
22 for them as you discuss the proposed expansion of

1 the brexpiprazole label for agitation associated  
2 with Alzheimer's disease or AAD. We are joined by  
3 31 partners, including the Caregiver Action  
4 Network; National Hispanic Medical Association; Us  
5 Against Alzheimer's; Voices of Alzheimer's; and  
6 many others.

7           It goes without saying, but I'm going to say  
8 it anyway, Alzheimer's by itself is a progressive  
9 and fatal disease. As you discuss the risks and  
10 benefits of brexpiprazole for AAD, please recognize  
11 this. A large longitudinal observational study  
12 published in the September 2013 issue of the  
13 American Journal of Psychiatry showed that it is  
14 the symptoms, not the use of antipsychotic  
15 medications, that predict nursing home admission  
16 and death.

17           One year ago, CMS announced that it would  
18 refuse to cover an entire class of FDA-approved,  
19 disease-modifying therapies for the treatment of  
20 MCI and early dementia due to AD. This effectively  
21 cut off access for beneficiaries living with early  
22 Alzheimer's, except wealthy seniors who could pay



1 out of pocket. It was a disheartening illustration  
2 of what happens when bureaucrats crunch numbers and  
3 forget about the people behind the math.

4 Currently, there is no FDA-approved  
5 medication for the unlabeled treatment of agitation  
6 associated with Alzheimer's disease. The published  
7 2023 analysis of the safety, efficacy, and  
8 tolerability of brexpiprazole for Alzheimer's found  
9 statistically significant greater improvements in  
10 agitation versus placebo, supporting the findings  
11 of two prior clinical trials, and this sNDA follows  
12 eight years of accumulated safety and efficacy data  
13 on the original NDA. We were thrilled to see the  
14 FDA's comprehensive review, and we have full  
15 confidence in their recommendation to expand the  
16 label.

17 When I hear somebody speak about agitation  
18 as only needing to be managed with behavioral  
19 techniques, I wonder, has that person ever seen  
20 someone they care about repeatedly unable to stop  
21 restlessly rocking or pacing, screaming, or hitting  
22 themselves uncontrollably? If not, I would ask

1       them to think about what that might be like for the  
2       person experiencing it and for the caregiver trying  
3       their best to help.

4               The reality is that these symptoms often  
5       require medical attention. Providers are  
6       professionally trained to start with  
7       non-pharmacological approaches first, such as  
8       redirection or exercise; however, when symptoms  
9       progress, providers may need to recommend  
10       medication for the safety of both the patient and  
11       the caregiver, and that's truly the crux of  
12       benefit-risk care. Individuals living with  
13       Alzheimer's meet with their healthcare providers,  
14       often alongside family caregivers, to discuss the  
15       benefits and risks of whether to take a drug or  
16       not. Preserving a patient's dignity and well-being  
17       should be of the utmost importance. Thank you.

18               DR. NARENDRAN: Thank you.

19               Speaker number 8 has withdrawn, so speaker  
20       number 9, please unmute yourself and turn on your  
21       webcam. Please introduce yourself and any  
22       organization, for the record.

1 MR. PAULSEN: Thank you. Hi. I'm Russ  
2 Paulsen. I'm the chief operating officer of Us  
3 Against Alzheimer's. As a nonprofit, we are funded  
4 by private donations, and among those thousands of  
5 donors are Otsuka and its competitors. We're  
6 governed by a board of directors, which has no  
7 representation from any pharmaceutical company. I  
8 have no personal disclosures.

9 As a patient and caregiver-driven non-profit  
10 organization, we want to be sure that decisions  
11 affecting the lives of people living with this  
12 disease and their care partners are made based on  
13 an understanding of what matters to them, and we  
14 get that information, the understanding ourselves,  
15 by asking them what they think. Specifically, we  
16 have a cohort of about 10,000 patients and current  
17 and former caregivers, and from that cohort, we  
18 have both rich human stories and rigorous data.

19 Probably no one on this call, but many  
20 people think of Alzheimer's disease as being all  
21 about forgetting, or maybe forgetting plus a little  
22 confusion, but all of us who have ever cared for

1 someone with Alzheimer's knows it's so much more.  
2 Very few people decide that they can't take care of  
3 their spouse, or their parent, or their sibling at  
4 home anymore because that person forgets things or  
5 gets confused.

6           It's the neuropsychiatric symptoms. They  
7 show up in many people, and they just get worse and  
8 worse, and the stories are heartbreaking. You've  
9 heard many of them today. We hear them, too: a  
10 physician researcher -- until Alzheimer's came for  
11 him -- getting physically abusive for the first  
12 time; a grandmother who had been an artist suddenly  
13 deciding to use her cane as a weapon; a spouse  
14 losing sleep because his wife of six decades gets  
15 up in the middle of the night and starts pacing and  
16 cursing. It's scary stuff, and as the briefing  
17 documents and other speakers have noted, it's a  
18 reason that people end up in facilities, and they  
19 never get back home.

20           Quantitatively, our research team worked  
21 with researchers from Otsuka to assess the  
22 additional burden that caregivers of someone with

1 agitation face. This is quantitative research,  
2 finding that caregivers of people with agitation  
3 symptoms in Alzheimer's provided more hours of  
4 care. Fifty-two percent of them in the agitation  
5 cohort had to make one or more job-related  
6 decisions because of caregiving. That's about  
7 12 percent higher in the agitation cohort, so  
8 52 percent versus 40 percent. They retired earlier  
9 than they had planned. Their lives were upended,  
10 work impairment, absenteeism, more insomnia, more  
11 anxiety, more depression, and physical health  
12 symptoms as well.

13 As for the person living with the disease,  
14 when they have moments of lucidity and they become  
15 aware of what they've been doing, or what they've  
16 just done, they're devastated; and we know from our  
17 previous work, that being a burden on others is one  
18 of the biggest worries of people living with  
19 Alzheimer's.

20 Right now, as many have mentioned, doctors  
21 and families are having to manage this with very  
22 limited guidance, and we thank you, we thank the

1 researchers, the patients, and the caregivers who  
2 engaged in the trials that you discussed today.  
3 Thanks for taking this seriously, the problems and  
4 severity of the symptoms faced by our patients and  
5 their caregivers in evaluating this evidence.

6 DR. NARENDRAN: Thank you.

7 Speaker number 10, please unmute yourself  
8 and turn on your webcam. Please state your name  
9 and organization, for the record.

10 DR. MINTZER: My name is Jacob Mintzer. I'm  
11 a geriatric psychiatrist, staff physician of the  
12 Ralph H. Johnson Medical Care organization, and a  
13 professor at the Medical University of South  
14 Carolina; however, here I'm not representing any of  
15 these organizations. I'm only representing myself.  
16 I have received grant support, and I've been a  
17 consultant for Otsuka and its competitors; however,  
18 again, here I'm representing myself.

19 I've been treating patients with agitation  
20 in Alzheimer's disease for about 30 years. I've  
21 seen a loved one hitting the person that provides  
22 them care that is life-saving. I've seen the

1 caregivers having to be punished by the patient  
2 emotionally and physically, obviously, without  
3 intention, after providing care. I've seen the  
4 suffering of the patients when becoming aware of  
5 the problem also feel remorse and suffering, plus  
6 the suffering of the patient when they are agitated  
7 themselves.

8 I've seen, though, the doctors, when they  
9 try behavioral intervention and doesn't work, have  
10 the dilemma to either prescribe the medication that  
11 has a statement that it's not effective, and on top  
12 of that, has a black box that says they will have  
13 severe cardiovascular problems, and that will  
14 result to death.

15 I understand that there was a need to define  
16 a syndrome and to find effective and reliable  
17 measures to evaluate their effectiveness, and if  
18 those were met, then a medication could become  
19 available. I join my colleagues and the  
20 International Psychogeriatric Association in  
21 defining the syndrome first, and then definitely  
22 giving a final definition. Also, we validated the

1 instruments that were [indiscernible] statistically  
2 significant and will show meaningful clinical  
3 benefit.

4 Now, the question is, if a medication meets  
5 those requirements, are they going to be considered  
6 to benefit the patient? I am concerned that we may  
7 not give the patients, and the caregivers, and  
8 doctor the opportunity to, together, make the  
9 decision if this is the appropriate medication for  
10 patient.

11 Finally, I just have a thought. What would  
12 have happened if we would not have allowed patients  
13 to receive chemotherapy suffering from another  
14 fatal disease like cancer, and not have the  
15 opportunity to decide between them, and the  
16 patient, and the doctor to decide if that's the  
17 appropriate treatment for them? Alzheimer's  
18 disease is not less final, and we should consider  
19 it in the same light. Thank you for your time.

20 DR. NARENDRAN: Thank you.

21 Speaker number 11, please unmute yourself  
22 and turn on your webcam. Please introduce



1       yourself, for the record.

2               MR. TAYLOR: My name is Jim Taylor, and I'm  
3       the president and CEO of Voices of Alzheimer's.  
4       The mission of VoA is to empower people living with  
5       or at risk of Alzheimer's and other cognitive  
6       illnesses to drive equitable access to innovative  
7       care and treatment. In addition, I have previously  
8       served as an FDA appointed patient advocate, have  
9       participated in prior Alzheimer's ADCOMs, and would  
10      like to thank today's committee for serving in this  
11      capacity.

12              This is my terrific wife, Geri, who was  
13      diagnosed with Alzheimer's over 10 years ago. She  
14      participated in the aducanumab clinical trial for  
15      7 years, so we are quite familiar with the research  
16      process. I'm not here to speak about  
17      research -- heaven knows there are many more  
18      qualified individuals to do that today -- but I  
19      know that there have been considerable advances in  
20      the last decade in atypical antipsychotic  
21      medications.

22              I'm here to speak on behalf of the people

1 living with Alzheimer's and their care partners,  
2 especially those patients in the moderate and  
3 severe stages of the disease who have the greatest  
4 degree of unmet needs. These treatments help  
5 control symptoms like agitation and aggressive  
6 behavior that can be distressing and even lead to  
7 injury of patients and care providers. For those  
8 who need treatment of this kind, their access is  
9 invaluable.

10 This new indication is particularly  
11 promising for people with Alzheimer's because it  
12 has been studied for them, and with your approval,  
13 will be the first treatment with label to reflect  
14 how it can be part of a care and treatment plan.  
15 The use of antipsychotic treatments in Alzheimer's  
16 has been extensively debated, but new research  
17 specifically on the benefits for Alzheimer's  
18 patients has led to changes in how these  
19 medications can be used effectively and with fewer  
20 side effects.

21 It's important that the FDA continue to  
22 embrace innovation for the care of people with

1 Alzheimer's since in the current environment, some  
2 payer bodies are using any manufactured controversy  
3 as a pretense to block patient access. It is  
4 critical that the FDA give full and unqualified  
5 support to drugs that have been developed to make  
6 the lives of people with Alzheimer's better. That  
7 is the only way that we can have access and  
8 continue to see progress in managing this  
9 challenging diagnosis. Thank you.

10 DR. NARENDRAN: Thank you.

11 Speaker number 12, please unmute yourself  
12 and state your name and organization, for the  
13 record.

14 MR. SCHREIBER: Yes. My name is Marty  
15 Schreiber, and I have lived with my wife Elaine for  
16 20 years since her diagnosis. Of those 20 years,  
17 12 were in the home with me and the eight were in  
18 assisted living memory care. Before we go any  
19 further, I want everyone to know how grateful we  
20 caregivers are for those of you that are working so  
21 hard to try and help our loved one live their best  
22 life possible. I'm not connected financially with

1 any of the outcomes of these discussions, other  
2 than to tell you that Alzheimer's cannot be cured  
3 or delayed, as we know, and therefore, what hope do  
4 we offer any caregiver or any person living with  
5 this disease other than to try and help them live  
6 their best life possible?

7 I believe that a medication that can get at  
8 that point is an extremely important benefit, not  
9 only to the person who suffers from Alzheimer's,  
10 but also to the caregiver because we know the  
11 impact of caregiving on caregivers, the impact on  
12 their health, their psychological well-being, the  
13 cost of medical expenses, and so on.

14 There's a caution, though, in that we have  
15 to make sure that any agitation is looked upon  
16 first as where is it coming from. Are there any  
17 physical surroundings or other aspects to this that  
18 bear in mind treating rather than going into a  
19 medication? Once we have understood that  
20 medication is the answer, it is certainly a  
21 godsend, a godsend to those living with Alzheimer's  
22 and a godsend to those as caregivers to help their

1 loved ones live their best life possible.

2 So it is my hope, then, that you take a good  
3 close look at this. It's my hope that should this  
4 agitation medication be proven effective, that we  
5 make sure that there's the proper guidelines to  
6 make sure that we outlaw any other kind of problem  
7 before the medications are given.

8 DR. NARENDRAN: Thank you.

9 Speaker number 13, please unmute yourself  
10 and state your name and organization, for the  
11 record.

12 MR. LEWIS: Hi. Thank you. This is James  
13 Lewis, speaking on behalf of the American Society  
14 of Consultant Pharmacists, and I want to especially  
15 thank the Psychopharmacological Drug Advisory  
16 Committee and the Peripheral and Central Nervous  
17 System Drug Advisory Committee for the opportunity  
18 to speak today. ASCP, the American Society of  
19 Consultant Pharmacists, does receive funding from a  
20 number of life science manufacture companies,  
21 including Otsuka and competitors, for non-branded  
22 health, education, and advocacy, with a focus on

1 older adults. At ASCP, we represent the  
2 pharmacists who are specialized in senior care, who  
3 work in a host of settings, including skilled  
4 nursing facilities, all the way through taking care  
5 of ambulatory community-based patients.

6 While there are many people who will speak  
7 today to the specific scientific merits, my  
8 comments will not be focused on that today; rather,  
9 I'm going to urge the committee to consider the  
10 perspective of the people living with Alzheimer's.  
11 Estimates vary, but there are at least up to  
12 potentially 6 million Americans over the age of 65  
13 living with this disease, and as many more  
14 caregivers providing care to them.

15 As everyone is well aware, memory loss is  
16 not the only symptom of Alzheimer's. While that is  
17 the most common and recognizable symptom, it is not  
18 the only one. Many patients will experience a host  
19 of neurological and neuropsychiatric symptoms  
20 throughout the course of their disease, and these  
21 conditions and symptoms can lead to earlier death  
22 and earlier institutionalization.

1           According to the International  
2 Pharmacogenomics article, up to 70 percent of  
3 patients living with Alzheimer's disease will  
4 experience aggravation throughout the course of  
5 their disease, which this condition in particular  
6 has large impacts on the patient, the staff who are  
7 caring for them, and their family caregivers who  
8 are caring for them as well, and it's incredibly  
9 important that providers and caregivers have as  
10 many tools in the toolbox as possible to provide  
11 care.

12           While we can all agree that  
13 non-pharmacological approaches should always be  
14 tried first and other symptoms such as potential  
15 medication interactions be rolled out, it is  
16 imperative that we pursue the ability to have new  
17 medicines in our toolbox when they are clinically  
18 appropriate and clinically beneficial to the  
19 patient. As you are well aware, at present there  
20 is no FDA-approved medication for on-label  
21 treatment of aggression associated with Alzheimer's  
22 disease. There is a time that it is used off

1 label, but this can create real issues with  
2 reimbursement from insurance companies.

3 In particular, the issue before these  
4 advisory committees today is a supplemental drug  
5 application; it is not a new drug application.  
6 This medicine has been FDA approved since 2015, and  
7 there is an additional eight years of data on it  
8 that is supporting today's supplemental  
9 application, as well as this medicine has an  
10 encouraging safety profile in terms of dizziness,  
11 sedation, and deterioration of cognition.

12 With that said, I encourage the committee to  
13 look at this supplemental application with that in  
14 mind and the real need for providers, patients, and  
15 their caregivers to have options beyond simply what  
16 is existing now, which is, frankly, nothing. By  
17 putting more tools in the toolbox, we can provide  
18 better care to patients, extend their time with the  
19 most positive outcomes, and really limit, where  
20 possible, distress on the patient, their  
21 caregivers, and if it becomes necessary to  
22 institutionalize the patient, the institutional



1 staff.

2 As a family member who has had a family  
3 member with this disease, we've worked as hard as  
4 we could to keep this person in our home as long as  
5 possible to make sure that they were comfortable  
6 and getting the appropriate care, and thankfully  
7 for us, aggression was not one of her symptoms, but  
8 knowing other people who have, I know that that can  
9 be a real barrier to keeping people in the home  
10 safely for the patient and for the family who's  
11 taking care of them. So I encourage these advisory  
12 committees today to consider that in mind as you  
13 look at the more than 6 million Americans over the  
14 age of 65 living with this disease and their  
15 caregivers. Thank you again for your time.

16 DR. NARENDRAN: Thank you.

17 Speaker number 14, please unmute yourself,  
18 and state your name and organization, for the  
19 record.

20 MS. COMER: My name is Meryl Comer. I'm a  
21 founding board member of the nonprofit, Us Against  
22 Alzheimer's. My public comment offered to this

1       esteemed advisory committee is highly personal  
2       because I believe the caregiver is the keeper of  
3       the secret. For more than two decades, I cared for  
4       my husband and mother 24/7 in our home, and I can  
5       attest that each brain unravels in its own  
6       idiosyncratic way.

7               My husband, a physician and scientist at the  
8       NIH, was misdiagnosed for four years, in denial  
9       about his final Alzheimer's diagnosis. Any of  
10       life's minor inconveniences drove agitation, that  
11       if I couldn't redirect it quickly, it escalated and  
12       became more explosive. His doctor told me to call  
13       911 if he got too dangerous. That was 24 years  
14       ago, and unfortunately nothing has changed.  
15       Tragically, there are no existing good options for  
16       treatments of dementia-related agitation, and the  
17       psychotic drugs now being used off-label have  
18       limited effectiveness and carry warnings for severe  
19       side effects.

20               The PRN given my husband by a night nurse at  
21       a major hospital to manage his agitation turned  
22       into an anxious man, because the rules wouldn't let

1 me stay with him, into a man barricaded in his room  
2 with a sofa blocking the door when I returned at  
3 6:30 a.m. the next morning. After 2 months in the  
4 hospital, the final diagnosis, Alzheimer's with a  
5 behavior disorder and deemed too dangerous to come  
6 home. He was discharged with prescriptions for  
7 16 Depakote and 4 Ativan a day. No facility would  
8 take him. I had to leave my job. I brought him  
9 home, and slowly weaned him off all of those  
10 medications. An agitated and dementing mind can  
11 harm anyone close by or weaponize even the most  
12 innocuous items in a home.

13 That said, all agitation should not be  
14 treated as equal. My 85-year-old mother, a former  
15 buttoned-up high school teacher at 5 five  
16 2 [inches], acted out dementia totally out of  
17 character, biting and screaming profanities we were  
18 forbidden to utter as kids, and then crying because  
19 at some level she knew she was not herself.

20 Most all Alzheimer's caregivers who have  
21 reached out to me over the years share they avoid  
22 using medications unless absolutely necessary to be

1 able to keep a loved one comfortable at home  
2 instead of being institutionalized. Why? Because  
3 caregivers are the ones left to manage the side  
4 effects. Appropriate use guidance is critical to  
5 the decisions that we make.

6 In this committee's scientific deliberation  
7 on clinical meaningfulness, please consider the  
8 devastating impact of agitation across the spectrum  
9 of this disease. In closing, I believe that the  
10 FDA's integrity and scientific authority, that is  
11 the gold standard for this world, deserves our  
12 support and must not be undermined or overridden by  
13 the politics of our time. Thank you for your  
14 consideration.

15 DR. NARENDRAN: Thank you.

16 Speaker number 15, please unmute yourself  
17 and turn on your webcam. Please state your name  
18 and organization, for the record.

19 DR. ZUCKERMAN: Thank you. I'm Dr. Diana  
20 Zuckerman, president of the National Center for  
21 Health Research. My doctorate is in clinical  
22 psychology and postdoc in epidemiology and

1 biostatistics. Our non-profit think tank focuses  
2 on the safety and effectiveness of medical  
3 products, and we often work directly with patients.  
4 We do not accept funding from companies that make  
5 those products, so we have no conflicts of  
6 interest; however, my dad had dementia.

7           We all know that atypicals have a black box  
8 warning of death and also warnings about other  
9 serious side effects for dementia patients, and FDA  
10 says that Rexulti's, quote, "effect on mortality  
11 appears to be consistent with the known risk with  
12 other antipsychotics in elderly patients with  
13 dementia," and also, quote, "it's mechanism of  
14 action in the treatment of AAD is unclear," and  
15 that's a problem.

16           Looking at the CMAI total score -- sorry  
17 about that typo -- it's not significantly different  
18 for the low doses, and it's often statistically  
19 significant at 2-to-3 milligrams, but those  
20 differences are small, and there's no information  
21 after 12 weeks. Does efficacy improve or is it  
22 reduced over time? And even more important, the

1 CMAI has 29 questions about symptoms and should not  
2 have been evaluated by total scores. Self-harm  
3 kicking and screaming are much more disruptive than  
4 repetitive questions or repetitious mannerisms.

5 We need to know which specific behaviors  
6 were reduced compared to placebo. Table 14 on  
7 FDA's summary shows that most of these differences  
8 were not aggressive symptoms. Is it worth the  
9 increased risk of dying to reduce non-aggressive  
10 behaviors?

11 For the secondary endpoint, there weren't  
12 significant differences at 1 or 2 milligrams, and  
13 they were statistically significant for  
14 2 -and-3 milligram groups when those were combined  
15 and, again, no information about whether efficacy  
16 improves or is reduced after 12 weeks. And even  
17 more important, why not measure quality of life  
18 instead of this scale? which measures overall  
19 mental illness.

20 Treating agitation is a seriously needed  
21 unmet need, but agitation is currently treated off  
22 label with other atypicals. So the question is, is

1 the safety or efficacy of Rexulti sufficiently  
2 superior to those off-label options to warrant FDA  
3 approval for agitation specifically for dementia  
4 patients, and is it appropriate to approve  
5 long-term treatment based on only 12 weeks of  
6 randomized-controlled data for a drug that can be  
7 fatal in the long-term?

8 In conclusion, patients deserve better, and  
9 many family members have told us that atypicals  
10 were a chemical straitjacket for their loved ones.  
11 Will family members be warned of all of the risks,  
12 and what are the rebound risks? Those haven't  
13 really been discussed. Since the risks of death  
14 are higher for Rexulti in the randomized trial  
15 compared to other antipsychotics that are not  
16 approved for dementia patients, don't we need  
17 larger, longer term studies before FDA approves  
18 Rexulti for dementia patients with agitation?

19 And my last point, many families tell us  
20 they were not fully informed of the risks of  
21 atypical; sometimes they were not informed at all.  
22 Realistically, if this drug is FDA approved for

1 dementia patients, it will be widely prescribed for  
2 dementia patients in nursing homes, and some of  
3 those patients will die unnecessarily as a result.  
4 Thank you for the opportunity to speak today, and  
5 I'm happy to answer any questions.

6 DR. NARENDRAN: Thank you.

7 The open public hearing portion of this  
8 meeting is now concluded, and we will no longer  
9 take comments from the audience. The committee  
10 will now turn its attention to address the task at  
11 hand, the careful consideration of the data before  
12 the committee, as well as the public comments.

13 We have another 11 minutes. I was curious  
14 if any other panel members have any other  
15 clarifying questions for either the sponsor or the  
16 agency? We cut a couple people off during the  
17 sponsor's time. If you do have questions, please  
18 raise your hand to indicate that you have a  
19 question, and remember to put your hand down after  
20 you've asked your question. Please state your name  
21 for the record before you speak, and direct your  
22 question to a specific presenter, either the



1 sponsor or the agency. If you do have a question,  
2 as a gentle reminder, please say thank you or your  
3 questions are answered, so we can move on to the  
4 next panel member.

5 Any other questions pending?

6 (No response.)

7 **Questions to the Committee and Discussion**

8 DR. NARENDRAN: I do not see any questions.

9 If there are no further questions, the  
10 committee can now turn its attention to address the  
11 task at hand, the careful consideration of the data  
12 before the committee, as well as public comments.

13 We will now proceed with the questions to  
14 the committee and panel discussions. I would like  
15 to remind the public observers that while this  
16 meeting is open for public observation, public  
17 attendees may not participate, except at the  
18 specific request of the panel. After I read each  
19 question, we will pause for any questions or  
20 comments concerning its wording.

21 Question number 1 is a discussion question.  
22 Discuss the overall benefit/risk assessment of

1 brexpiprazole for the treatment of agitation  
2 associated with Alzheimer's dementia. In your  
3 discussion, take into consideration the following:  
4 the increased risk of death among elderly patients  
5 with dementia receiving antipsychotic treatment;  
6 the risks of medications that are often used off  
7 label for the treatment of agitation and dementia,  
8 example, antiepileptics, benzodiazepines, without  
9 established evidence of efficacy.

10 Are there any specific questions about the  
11 question, discussion question, from the panel  
12 members?

13 (No response.)

14 DR. NARENDRAN: It's pretty clear. If  
15 anybody wants to go first, please raise your hand,  
16 and we could start the discussion about what your  
17 thoughts are, anybody who has a formulated idea who  
18 wants to start. I see no hands.

19 Dr. Cudkowicz, thank you for going first.

20 DR. CUDKOWICZ: Thank you. Merit Cudkowicz.  
21 Actually, I don't have a formulated idea, but I  
22 have some discussion, and I wanted to start getting

1 other panel input.

2 I am pretty convinced about the benefit from  
3 these studies that are clinically relevant, as well  
4 as statistically sound, but there's more  
5 uncertainty about the risk around at least the  
6 deaths. I don't think the other adverse events are  
7 very -- they're manageable, but we just don't have  
8 enough data. There were, fortunately, only a small  
9 number of deaths in the study.

10 So I'm wondering, if this does become widely  
11 available, what type of data would be useful to  
12 collect? I think it's really about the older  
13 population to be able to have some assurance that  
14 the risk was not greater in that population  
15 compared to some of the current things that are  
16 being done off label. That's kind of where I'm  
17 kind of stuck, is how do we make sure that five  
18 years from now we have decent data on this older  
19 population who would start using this once it's on  
20 the market as an indication for Alzheimer's? So  
21 it's more of a question or further discussion for  
22 the panel, together.

1 DR. NARENDRAN: Thank you.

2 Dr. Apostolova?

3 DR. APOSTOLOVA: Yes. Hi. Thank you.

4 Liana Apostolova, Indiana University. I am a  
5 dementia specialist, so I do encounter agitation  
6 daily in my clinic, and it is the most burdensome  
7 symptom of Alzheimer's disease, after psychosis, or  
8 right next to psychosis. Patients are desperate  
9 today and would like to get some respite, and what  
10 we do is we use off-label drugs. We use  
11 antipsychotics that have not demonstrated efficacy.  
12 Benzodiazepines, no, because they're not really  
13 very good drugs for this population; however,  
14 they're used wildly in nursing homes, causing  
15 problems. Antiepileptics we do use as well.

16 So, to me, having a drug that has  
17 demonstrated efficacy is really fulfilling a great  
18 unmet need. We don't have a drug like this to  
19 date. Yes, the class has increased risk of death,  
20 but we are already using other agents from this  
21 class off label in order to make these symptoms  
22 manageable for the families. Of course, patients

1 and families get counseled about the side effects  
2 and the risks involved, including the black box  
3 warning, and you know what? When we try to stop  
4 these medications families do not want to even  
5 attempt the titration down because of the  
6 symptomatic relief that they have seen in their  
7 loved one, in many, many cases.

8 I do appreciate the risks involved; however,  
9 it is up to the family -- usually these patients  
10 are far advanced -- to make risk-benefit decisions  
11 and participate mindfully in this discussion of  
12 treatment options, and benefits, and risks. That's  
13 all.

14 DR. NARENDRAN: Thank you.

15 Dr. Weisman?

16 DR. WEISMAN: I would totally agree with  
17 that. I think that was really well said, and I  
18 would also just add that we're talking a lot about  
19 the data surrounding mortality, but perhaps I'm not  
20 getting it because the absolute numbers are very  
21 tiny relative to historical previous studies. I  
22 know that there's an imbalance, and that's serious,

1 but upon my review of the inclusion and exclusion  
2 criteria, I didn't see anything that was that much  
3 different, other than the age, which I grant, but  
4 these people are very ill.

5 I think one thing that really caught my eye  
6 is that you have a dose response, so that's very  
7 clear, more drug, the better, but also you have a  
8 symptom response in which deeper symptoms are  
9 correlated with more improvement, like the worse it  
10 is, the better it can get. And I think that we see  
11 that with a lot of the symptomatic medications that  
12 we have, not just in our platform drugs for  
13 Alzheimer's disease, but also across psychiatry.  
14 So that was reassuring to me, that this drug has a  
15 lot of merit. Thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. Fiedorowicz?

18 DR. FIEDOROWICZ: I can't start my video.

19 It says because the host has stopped it. Can  
20 someone turn my video on, please?

21 Thanks.

22 Jess Fiedorowicz, University of Ottawa, just

1 talking about the risk and benefit, I appreciate  
2 everyone's input and all the data. The potential  
3 benefits from the data we saw seem quite clear,  
4 consistent, and as others mentioned, dose  
5 dependent, but it is small, probably about  
6 0.3 standard deviations. It might even be smaller  
7 than what are minimally clinically important  
8 differences. There was a 2021 paper by the  
9 International Psychogeriatric Association  
10 suggesting that might be 5 to 17, but I think we  
11 can feel pretty confident, overall, that there is  
12 some potential benefit.

13 The risk is not super clear. In these small  
14 samples, the absolute risk was quite low, as the  
15 last speaker mentioned. The relative risk is high,  
16 but the estimates are really imprecise. So I don't  
17 know how we can really say that it's consistent  
18 with the prior when the confidence intervals could  
19 be consistent with no risk or an incredibly high  
20 risk.

21 So I think it's definitely very important  
22 for further study to better quantify that risk.

1       Ultimately, that risk decision is made in the  
2       clinical setting. In the open public hearing, we  
3       heard from speaker 7 discussing how caregivers,  
4       patients, and doctors need to discuss risks, and  
5       speaker 14, how all agitation should not be treated  
6       equal. Certainly there's a lot of context that  
7       needs to be considered in the risk-benefit  
8       decisions that's not going to be so easily made by  
9       some socio-demographic or clinical variables, so I  
10      think it's important for us to have information  
11      that providers, and the families, and patients that  
12      they're working with can use. Thank you much.

13               DR. NARENDRAN: Thank you.

14               Dr. Johnston?

15               MS. JOHNSTON: Thank you very much. I'm  
16      actually not a doctor, I'm a patient advocate, and  
17      I was a caregiver for my father for about 15 years,  
18      so all of this really hits home for me. But I also  
19      have a scientific side, and I have served on  
20      multiple IRBs and reviewed clinical trials for over  
21      25 years.

22               Ultimately, with my father, when his



1 aggression came forward, he was in a care center, a  
2 memory care center, and I was a 3-and-a-half-hour  
3 drive over a mountain pass before I could get to  
4 him. I received a phone call that said I had three  
5 options. I could allow him to be arrested by the  
6 police, I could allow them to put him in an  
7 ambulance and admit him to a psychiatric ward, or I  
8 could get in the car and get there, and take him  
9 when I had nowhere to take him. I did not have an  
10 option of a drug that might help with his anxiety  
11 and improve his quality of life over his agitation.  
12 Ultimately, I had to choose to allow him to be  
13 sedated for him to return to the care center.

14           Unfortunately, as we've heard from other  
15 people, this dilemma has gone on for over  
16 20-30 years of people not having an option. The  
17 scientific side of me would love more research on  
18 this, especially in the risk-benefit ratio, but in  
19 our older population, this does speak to their  
20 quality of life. It gives them a quality of life.  
21 He may not have gone into the downward spiral that  
22 he did by being sedated, which ultimately ended in

1 his death approximately 3 months later.

2 So I just feel like that even though the  
3 study sizes are small and there does need to be  
4 more information, I think as the patient advocate,  
5 which is what I'm serving as here today, for  
6 caregivers, this option is critical, and it's very  
7 important that we consider allowing that and  
8 allowing the clinicians to provide the family with  
9 the information they need so that the family can  
10 make the decisions. I think this is probably a  
11 jumping-off point for this type of treatment, and I  
12 know that there's more research out there, and I  
13 hope that we come up with even better solutions.  
14 But in the assessment of this, the advocate side of  
15 me says that we have to at least allow the option.  
16 Thank you.

17 DR. NARENDRAN: Thank you.

18 Dr. Paganoni?

19 DR. PAGANONI: Hi. This is Sabrina  
20 Paganoni. Thank you so much for the opportunity to  
21 review these data. I have to say this is a very  
22 important decision today. Clearly, it will affect

1 the lives of potentially millions of people, so I  
2 really appreciate all the input from the agency and  
3 everyone who spoke also during the public hearing.

4 I also appreciated the perspectives of our  
5 colleagues who are part of the panel today, who  
6 actually see patients with dementia and agitation,  
7 and I wanted to ask a few thoughts about how to  
8 monitor all of these, again, the population level  
9 moving forward. It's clear to me that the  
10 applicant has provided data that really provides  
11 some evidence of effectiveness, and clearly there  
12 is an unmet need; that's absolutely true.

13 Now, in terms of the individual decision and  
14 individual patient-doctor relationship, obviously,  
15 that's a discussion, and I appreciated the comments  
16 from previous panel members about the possibility  
17 of discussing risks and benefits on an individual  
18 basis; absolutely, that's clear. I'm also  
19 wondering, though, with respect to the question  
20 from Dr. Cudkowicz, as well as the comments earlier  
21 from members of the agency, in a different  
22 individual perspective, there is also a

1 responsibility towards the population when it comes  
2 to millions of people.

3 So potentially, also, I want to mention that  
4 if this drug were to be approved for the treatment  
5 of agitation in Alzheimer's disease, it's also  
6 possible that by extension or by off-label use, it  
7 could also be used for the treatment of agitation  
8 in other forms of dementia. Just like right now,  
9 we're using other drugs off label to treat  
10 agitation. It's possible if the drug was approved,  
11 it could then be used off-label to treat agitation  
12 in non-Alzheimer's forms of dementia.

13 So what I'm trying to say is that the use  
14 could really be substantial, and therefore, again,  
15 it does raise the question of how to monitor the  
16 safety in the broader population. I don't think  
17 this is a showstopper; I'm just posing that as a  
18 comment, and appreciate perspectives from the rest  
19 of the panel.

20 DR. NARENDRAN: Thank you.

21 Ms. Witczak?

22 MS. WITCZAK: Thanks for the opportunity.

1 After looking at the efficacy, I don't think the  
2 efficacy is there to outweigh the potential risk.  
3 Also, who were the people -- when we think about  
4 Alzheimer's and the progression, and people could  
5 live with it for many, many, many years because it  
6 does not have a curative effect on it, this  
7 potentially has the potential to be used for many,  
8 many years, or until death, or some of these  
9 other -- or withdrawal. We didn't hear a whole lot  
10 about the withdrawal.

11 In my opinion, right now it is still  
12 available for people to use off label, and it is  
13 being used off label. So the glimmer of  
14 hope -- because we know what will happen once it's  
15 marketed, and as the previous speaker just  
16 said -- it potentially can be used on a much wider  
17 range of people.

18 Those are some of my concerns. I don't  
19 think that the evidence that was presented, and  
20 especially the 2-point difference, and who were the  
21 original -- like were they severely agitated when  
22 they came in, or were they just at the beginning,

1 and we don't know how it progresses. In my opinion  
2 looking at studies, it was a small but also small  
3 duration, 12 weeks, and even when they looked at  
4 even 6 months; that when I hear some of the  
5 previous public speakers who talked about having  
6 loved ones that they cared for for 10-20 years.

7 So that is one of my concerns, and I would  
8 love to hear -- but again, I also go back to it  
9 already is available, and you can use it off label,  
10 or is this really more about getting it covered?  
11 Because we have all of the new concerns with EMS  
12 looking at what's going on in the nursing homes,  
13 and this would allow the nursing homes and whatnot  
14 to actually give a diagnosis and not have to look  
15 at it and put it under schizophrenia to get used  
16 for antipsychotics. So I'm just bringing those  
17 concerns up to the committee. Thank you.

18 DR. NARENDRAN: Thank you.

19 Dr. Baker?

20 DR. BAKER: Thank you, Dr. Narendran.

21 As an industry rep, I wanted to comment  
22 directly on the application that there are more

1 general issue that I've been thinking about as the  
2 committee's been discussing. I think this  
3 particular question, asking for a reference to the  
4 current state of care with off-label use I think  
5 calls for being conscious of what's known and what  
6 is unknown. We have heard comments through the  
7 course of the day, of course, that patients and  
8 their families -- or their families like continuing  
9 some of the off label use because they've seen the  
10 benefit, but likewise, this committee has carefully  
11 noted across many meetings in which I sat, the  
12 regression to the mean or placebo-treated patients  
13 are improving.

14 So that sort of anecdotal experience doesn't  
15 really measure up to actual clinical trials, so I  
16 think it's worth considering the benefit of what is  
17 established in terms of efficacy, as most of you  
18 have alluded to. You still weigh that against the  
19 risk, but I would just encourage being thoughtful  
20 that for the off-label use, it's much less certain  
21 whether there's any benefit at all where it's not  
22 been established, and likewise, even the risk of

1 deaths were somewhat imputing from what's  
2 established with antipsychotics, which mostly come  
3 from failed placebo-controlled trials for psychosis  
4 in Alzheimer's disease, which we haven't seen as  
5 much across the other classes, so thank you for  
6 that.

7 DR. NARENDRAN: Dr. Cudkowicz?

8 DR. CUDKOWICZ: Yes. I wanted to comment  
9 about the duration of treatment a little bit  
10 because, again, I don't see patients with this.  
11 From what I understood from the speakers we've  
12 heard from is that physicians would treat for short  
13 periods of time, like 3 months, and re-evaluate,  
14 and use, really, judgment with the patient and the  
15 caregiver about it. So I'm not really worried  
16 about this being a drug that people are going to  
17 take forever. It's really more that this is going  
18 to be a treatment option that's going to actually  
19 make a big difference for people and their  
20 families. I know we've talked a little about the  
21 effect size, but I am convinced that this is making  
22 a meaningful difference for people from the data



1 that we showed, particularly people who were more  
2 severe and had significant drops in parts of the  
3 scale.

4 I just wanted to address that because that  
5 came up as a worry, and I'm not sure we should  
6 worry about that part of it because I hope that  
7 physicians caring for these patients are going to  
8 use that judgment and standard approach of  
9 reassessing every couple months when people with  
10 Alzheimer's are on these type of medications.  
11 Thank you.

12 DR. NARENDRAN: Thank you.

13 Dr. Weisman?

14 DR. WEISMAN: Yes. I heard the concern  
15 about these folks not being sick, and I just wanted  
16 to push back about that because these people were  
17 were very sick. They were moderate to severe based  
18 on PI judgment in all the trials, from what I saw.  
19 I do these trials, and these are incredibly hard to  
20 enroll because there's a Goldilocks: if you're too  
21 mild, you don't get into the trial, and if you're  
22 too severe, it's impossible to bring them into a

1 clinic, and even in an assisted living facility,  
2 because these people should be on an inpatient  
3 psychiatric ward. So you're asking an impossible  
4 standard for these clinical trials because very  
5 severely agitated with basically homicidal behavior  
6 cannot be done in a clinical trial.

7 About the off-label considerations, off  
8 label is where we are now, so we're not doing the  
9 public health any service by saying, oh, we can't  
10 approve something just because it may be widened  
11 into other dimensions. That's really at the  
12 purview of the treating physician, one that should  
13 be wary of dementia with Lewy body, but I would  
14 see, really, no problem doing some people in  
15 frontotemporal [indiscernible] dementia. That's it  
16 for me. Thank you.

17 DR. NARENDRAN: Dr. Paganoni?

18 DR. PAGANONI: Hi. This is Sabrina Paganoni  
19 again. I agree with what many of the other people  
20 said, and I completely understand what Dr. Weisman  
21 was saying about the difficulty of enrolling in  
22 these trials and how sick the population is.

1 I also wanted to make a comment because  
2 earlier I asked the applicant about the effect size  
3 because, numerically, again, the delta between the  
4 two groups was relatively small. However, in  
5 looking at their primary endpoint and the exact  
6 questions that they asked and how they're scored, I  
7 was also reflecting on the fact that the person who  
8 scored -- actually, based on their criteria and the  
9 trial design -- had to be a caregiver or somebody  
10 at the institution and was with the participant at  
11 least, I believe, 2 hours a day for at least  
12 4 times a week; so essentially not continuous  
13 monitoring.

14 So to me, it seems like the bar was very  
15 high. It's difficult to achieve these types of  
16 results. So I just wanted to make the point that  
17 my comment about effect size doesn't mean that I  
18 don't think there was a clinically meaningful  
19 result. I just wanted to understand it more,  
20 again, given the complexity of the population, the  
21 complexity of running trials in this population,  
22 and the fact that, again, the primary outcome, it's

1 hard to achieve significant changes on that just  
2 based on looking at the outcome -- I'm looking at  
3 that right now -- and the way the trial was  
4 designed. So in my mind, all of this speaks to a  
5 favorable benefit-risk profile.

6 DR. NARENDRAN: Dr. Thomas?

7 DR. THOMAS: Hi. Patrick Thomas. I do  
8 agree with several of the panel members that have  
9 mentioned that there is a clinical benefit, though  
10 small, consistent, and when you look at it several  
11 ways through minimally clinically important  
12 difference and mean, I think it stands up across  
13 looking at it several ways, despite the high  
14 placebo response, which one of the commenters  
15 noted; that that's something consistent across our  
16 psychiatric trials I think speaks to the endurance  
17 that there is a they're there, so to speak.

18 My kind of remaining question or concern is  
19 about not the safety profile, but that it seemed to  
20 be so much smaller in a younger population that had  
21 similar exclusion criteria. Now, that's not to say  
22 that, as other people have mentioned, that's reason

1 to not approve it, but unlike others who may say,  
2 "Oh, you know, a doctor will review it, and after  
3 3 months, they'll reassess it," I think in the real  
4 world when you're out at your average nursing home,  
5 when you've got a doctor who's taking care of a  
6 panel of a hundred people in one spot, or a  
7 family's not involved, people are going to be on  
8 these medications longer than you think. So to  
9 really have an eye towards safety over time in an  
10 older population is going to be more reflective of  
11 what it may actually be, and would be important.

12           Again, I don't know that that's actually  
13 going to be above and beyond what's already out  
14 there because as other committee members have said,  
15 this is what we're already doing. There is a  
16 chance that it could be less harmful than the  
17 atypicals that we're already using, but there is a  
18 slight chance, given more time, that it could be  
19 more or equal, and I don't think that the data  
20 presented can let us really draw firm conclusions  
21 to that effect.

22           I would say that in comparison to some of

1 the things that are used off label, people kind of  
2 led with antiepileptics and benzodiazepines, which  
3 have clear drawbacks, but there's also -- while  
4 it's not maybe to this standard of evidence -- some  
5 evidence related to the use of your  
6 antidepressants, things like trazadone and  
7 citalopram. I think there was a head-to-head trial  
8 with citalopram versus Risperdal that showed  
9 benefit. Again, those things aren't being put  
10 forward for the FDA, and I don't know that this is  
11 necessary, but it certainly would be interesting to  
12 see if something in comparison with brexpiprazole  
13 and a medication like that would still clear that  
14 bar.

15 As it stands, given what's kind of the  
16 hand-waving idea of mechanism and how it might  
17 work, it seems to potentially hit a spot between an  
18 atypical and something like an SSRI or an SNRI, so  
19 I think think that there's some promise there. And  
20 given the level of unmet need, at this point, I  
21 kind of weigh towards that it's worth doing that  
22 and maybe having some caveats about extended

1 monitoring. That's all I have.

2 DR. NARENDRAN: Thank you.

3 From my standpoint -- this is Raj  
4 Narendran -- I agree with a majority of the panel  
5 members that this is a very difficult study to do,  
6 a very difficult population to enroll, and I'm  
7 pretty impressed that the company was able to  
8 demonstrate two trials' primary efficacy endpoint.  
9 The dose response was there. They enrolled a very  
10 sick population that included community  
11 participants, as well as a nursing home. So they  
12 did the best, and I feel like the efficacy overall  
13 is small but definitely is there. I kind of agree  
14 with that.

15 With respect to the risks, in terms of as an  
16 emergency crisis psychiatrist, ever since the black  
17 box warning went on, there's a reluctance to  
18 prescribe antipsychotics for elderly people,  
19 especially with dementia and agitation.  
20 Personally, I've felt like maybe we should get a  
21 geriatric psychiatrist to weigh in before we  
22 prescribe an antipsychotic.

1           So to have this data, and to know that it is  
2 no worse than the existing atypical, and maybe  
3 slightly better perhaps, we don't know for sure  
4 where it stands, but it's nice, and reassuring, and  
5 convincing to have some safety data well  
6 characterized, although it seems like there's a  
7 need for more. So that's where, personally, I come  
8 down.

9           Is there anybody else who wants to weigh in  
10 before we could summarize this question and move on  
11 to the next? Any other thoughts from the panel  
12 members about this?

13           (No response.)

14           DR. NARENDRAN: I don't see any raised  
15 hands, so in terms of the panel's consensus, I  
16 heard things that most people were convinced about  
17 the efficacy. I heard comments that people felt  
18 the effect was small but it was definitely there.  
19 People seemed to have come down heavily on the  
20 point that there was an effect, and the efficacy is  
21 not in question, although some people felt the  
22 small duration of the trial and the small effect



1 are deterrents in terms of a benefit. They would  
2 like that to be better characterized, but the  
3 majority of the people agreed on the efficacy.

4 In terms of the risks, what I heard was  
5 people felt it's hard to extrapolate from a 12-week  
6 trial. The short-term safety data, which very few  
7 people died, which is a good thing, but we don't  
8 know how this will reflect in the real world where  
9 patients will be prescribed this a lot more widely.  
10 Some people felt the black box warning, continuing  
11 with it would provide the opportunity to educate  
12 patients and families about the risks and the  
13 benefits; however, some people said maybe in the  
14 real-world setting, people may end up on these  
15 medications long-term, and risks could be higher.

16 I heard that the confidence interval is just  
17 too high to really say whether it's going to be  
18 less risky, or more risky, or is it about the same,  
19 so maybe there needs to be more data collected on  
20 its long-term safety and what it means in the real  
21 world. Also, I heard that people with other higher  
22 risks conditions like cerebrovascular disease and

1 stroke were excluded and may end up being  
2 prescribed this medication, and that could be a  
3 concern and increase mortality. However, I also  
4 heard that maybe that it shouldn't be a  
5 consideration for the risks, per se, because the  
6 indication is not necessarily for that.

7 So that's my thoughts. Anybody else want to  
8 weigh in? Did I miss anything or it's sufficient,  
9 and we could move on to question number 2?

10 (No response.)

11 DR. NARENDRAN: Question number 2, I'll read  
12 the question. Discuss whether there's a population  
13 of patients with Alzheimer's dementia for whom the  
14 benefit-risk of brexpiprazole appears acceptable.  
15 Is there a population for whom the benefit versus  
16 risk does not appear to be favorable?

17 Anybody have some initial thoughts? If you  
18 want to go first, Dr. Apostolova?

19 DR. APOSTOLOVA: Yes. Thank you. Liana  
20 Apostolova, Indiana University. The patients in  
21 whom the benefit-risk would not be favorable will  
22 be dosed with mild symptoms. We can use behavioral

1 approaches, caregiver training, family education,  
2 and all other non-medication approaches for  
3 addressing mild behaviors. Before starting  
4 antipsychotics, which do have increased risk of  
5 death, we always should make sure family education  
6 and caregiver training take place; however, in the  
7 severe cases, there is really no alternative. We  
8 go ahead and have to treat with an atypical  
9 antipsychotic; otherwise, the patient might get  
10 kicked out of the nursing home, and the family  
11 can't take care of the patient at home. It's a  
12 tragic situation in most cases.

13 So it would not be appropriate to treat  
14 patients with mild symptoms before education and  
15 caregiver training have taken place, but it is  
16 quite appropriate to discuss with the family the  
17 risks and benefits, and have them make a decision  
18 whether moving to an FDA-approved efficacious agent  
19 is what would be most beneficial.

20 DR. NARENDRAN: Thank you.

21 Dr. Paganoni?

22 DR. PAGANONI: Hi. This is Sabrina

1 Paganoni. I don't have much to add. I completely  
2 agree with everything that Dr. Apostolova said. It  
3 seems to me that this was a very well-planned  
4 clinical development program that showed consistent  
5 results across well-designed and conducted  
6 controlled trials. Obviously, when it comes to  
7 making clinical decisions in an individual patient,  
8 if symptoms are mild, as Dr. Apostolova said, there  
9 are other interventions that can be tried first,  
10 but then when it comes to patients with severe  
11 diseases, I don't think the data that has been  
12 presented today suggests that there is a specific  
13 subpopulation within that group that should not use  
14 this particular product if approved.

15 DR. NARENDRAN: Thank you.

16 Dr. Weisman?

17 DR. WEISMAN: I am also tempted to say  
18 moderate [indiscernible] would not be good, but  
19 also severe because very severe agitation didn't  
20 get into the trial, very likely, and also the  
21 separation was at 6 weeks. So if they're acutely  
22 and horribly agitated, severe would really not be a

1 great fit for this drug. I'd also say that I could  
2 see a mild person escalating, and I would  
3 definitely consider it, even though the symptoms  
4 were mild but failing more conservative management.

5 Then family preference, there are risk  
6 intolerant families and risk intolerant people,  
7 those who value quantity of life over quality  
8 perhaps, and maybe that would open up some doors to  
9 a personal discussion. But in terms of the risk,  
10 because there's no pattern in the deaths, I can't  
11 see that we can answer that in any satisfactory  
12 manner. Random is random. Thank you.

13 DR. NARENDRAN: Thank you.

14 Dr. Thomas?

15 DR. THOMAS: Hi. I'm Patrick Thomas. I  
16 essentially agree with what's been said before,  
17 potentially with mild and not in acute agitation  
18 because of the nature of the drug and the data that  
19 was put forth.

20 DR. NARENDRAN: Dr. Paganoni?

21 DR. PAGANONI: Thank you. This is  
22 Dr. Paganoni. I wanted to learn from the clinical

1 experience of my colleagues who have spoken, from  
2 their experience in dementia clinics.

3 Dr. Weisman, you mentioned that, again,  
4 there might be some groups where you may not  
5 consider this based on clinical presentation. I  
6 wanted to understand, if this drug is a symptomatic  
7 relief drug, do you expect the patient, the family,  
8 and the physician to really realize if there is  
9 symptomatic benefit in that particular patient over  
10 a relatively short period of time and adjust as  
11 needed based on that? Again, it's not a criticism.  
12 I just want to to better understand.

13 DR. WEISMAN: Yes. I do think if it works,  
14 and people are tolerating it well, then you'd want  
15 to leave it alone. I kind of agree with  
16 Dr. Thomas' previous point that this drug is very  
17 likely going to be a set-and-forget drug in the  
18 background, and that may have its own dangers  
19 because it hasn't been studied long term. But I  
20 would just put that out to the individual treating  
21 the person and discussing with the family.

22 Did I get that question right?

1 DR. PAGANONI: Yes. You also mentioned that  
2 there are people with very severe disease that  
3 perhaps would not be appropriate. I wanted to  
4 understand that as well.

5 DR. WEISMAN: Oh, yeah. I mean, I've had  
6 people turn on a dime who had a little bit of  
7 agitation. They wanted to leave, but all of a  
8 sudden they are agitated to the point of homicide;  
9 I mean, choking, stabbing. I called the police for  
10 somebody who is driving around the parking lot with  
11 reckless intent. We got to some of this before,  
12 but these stories, they just break your heart.  
13 This drug is not appropriate in somebody like that,  
14 who has to be institutionalized because if not,  
15 they are going to kill somebody.

16 DR. PAGANONI: Got it. Thank you. That's  
17 very helpful.

18 DR. WEISMAN: Thank you. I mean, animal  
19 torture, I have heard so many horrible things;  
20 setting fires. I mean, none of this stuff is  
21 captured, but it happens, and it is just off the  
22 hook. It's horrible.

1 DR. NARENDRAN: Panel members, does anybody  
2 else have thoughts, people who haven't weighed in?

3 (No response.)

4 DR. NARENDRAN: This is Raj Narendran. From  
5 my standpoint, I feel like we don't really have any  
6 data to pick one population or the other. I think  
7 the best would be to reflect what the clinical  
8 trial inclusion/exclusion was in the label, which  
9 is always done, and make people decide for  
10 themselves, and that's very hard to figure.  
11 Clearly, there seems to be in milder people,  
12 behavioral therapy is the standard, and probably  
13 should be, and in more moderate to severe, this  
14 medication seems to be effective. But I just don't  
15 have a clear sense, based on what we saw, that I  
16 could at least make those thoughts and  
17 recommendations for a subpopulation.

18 Dr. Thomas, go ahead.

19 DR. THOMAS: Given what was just brought up  
20 and what I kind of mentioned about, making sure  
21 that this isn't something that you're using in  
22 acute populations, is it to the point that in the



1 label, that would be something that would be a  
2 recommendation of the committee to consider? I  
3 don't know, but that's something I would put to my  
4 colleagues on the panel, if it needs that extra  
5 clarification.

6 DR. NARENDRAN: Dr. Iyengar?

7 DR. IYENGAR: This is Satish Iyengar from  
8 University of Pittsburgh. I have a question about  
9 the statement that this should not be used for the  
10 milder cases. I remember the down arrows, the  
11 improvement in symptomatology, as bigger, even for  
12 the milder cases. If there's no evidence of  
13 increased risk for the milder symptomatology, is it  
14 still your position -- I guess I'm talking to  
15 Dr. Weisman and I forgot her name -- that it's not  
16 recommended for the milder cases?

17 Apostolova, yes?

18 DR. APOSTOLOVA: Right. If I can then  
19 answer that since it was directed to me, and sorry  
20 if I'm jumping ahead of somebody else.

21 Yes, mild patients would not be indicated to  
22 have this as a first line of treatment.

1 DR. IYENGAR: I see.

2 DR. APOSTOLOVA: First, we have to try  
3 everything else that does not include medications,  
4 non-medication approaches, and many of those were  
5 outlined in the industry presentation. There is  
6 behavioral therapy, music therapy, and  
7 what-have-you, and there are multiple approaches.  
8 And based on what's available to a clinician  
9 locally at their institution, lots can be tried.  
10 Of course, family education is the most critical  
11 part. If that fails, then, of course, it would be  
12 indicated to start patients on treatment, and mild  
13 agitation was included in this trial, mild  
14 patients, mild cognitively impaired patients.

15 DR. IYENGAR: I understand. Thank you.

16 DR. NARENDRAN: I just want to  
17 provide -- Farchione or the agency to comment.

18 DR. FARCHIONE: Yes. I just wanted to  
19 follow up on Dr. Thomas' comment, and then some of  
20 the discussion about what sounds like people are  
21 concerned about PRN use as a possibility.

22 I just want to emphasize that that's not

1 something that's under consideration. In the  
2 clinical trials, people were administered the drug  
3 on a daily basis. That's the kind of dosage and  
4 administration instructions that the applicant is  
5 seeking in the labeling. I was worried that we  
6 might end up going off on a PRN tangent, so I just  
7 wanted to refocus.

8 DR. NARENDRAN: Thank you. That's a helpful  
9 clarification.

10 Dr. Cudkowicz?

11 DR. CUDKOWICZ: I don't think I was heading  
12 towards PRN. I was more talking -- there were  
13 comments about this is drop and forget, or this  
14 would be forever treatment, and my understanding of  
15 it is that's not how psychiatrists and neurologists  
16 work with their patients when they put them on  
17 these medicines; that they reassess the response  
18 rate at a certain interval, and either it's working  
19 and they'll continue it, or they'll wean it off a  
20 little bit with a minimum dose. So there was  
21 nothing that I heard that suggested that this was  
22 going to be a high-risk drug that you're going to

1 give, and you're going to forget about the person  
2 until something bad happens.

3 DR. NARENDRAN: Thank you.

4 Ms. Witczak?

5 MS. WITCZAK: Yes. I was going to just make  
6 a comment because of that drop and forget or set  
7 and forget, because that is in the real world.  
8 Again, I go back to the real world. Is that going  
9 to be what happens? And that is a big concern  
10 because that is what we're seeing. Whether it's at  
11 the nursing home and they have hundreds of patients  
12 or whether it's the family doctor that they go and  
13 see, that is one of my big, big concerns, is the  
14 set and forget, which is what we're seeing a lot  
15 with this.

16 Then I'm curious with the clinicians that  
17 actually see patients. Because this is already  
18 available to you as an off label, have you used it,  
19 and if you haven't, why not? And if you have, what  
20 were your results? Again, because it's already  
21 been out there as an option to be used off label,  
22 I'm curious if you use it, and if not, why not, and

1 so on. Thank you.

2 DR. NARENDRAN: Dr. Apostolova?

3 DR. APOSTOLOVA: Liana Apostolova, Indiana  
4 University. To answer the last question first, I  
5 have not used brexpiprazole. I have another agent  
6 that I commonly used, that I am prescribing to  
7 patients with good success. It's not one that is  
8 approved; it's off label, but it does relieve  
9 symptoms of agitation and psychosis.

10 To answer your first question next, many of  
11 us could potentially adopt a practice where we  
12 initiate a medication, provide 3-month refills, and  
13 then continuous refills after we assess, but that  
14 should be a physician's decision unless it's in the  
15 prescribing information, and if a practice like  
16 that can be recommended at certain intervals, that  
17 there is documentation of the continued need or  
18 something like that. Because I do agree with you  
19 that in real-world practice, once a medication is  
20 prescribed, sometimes it doesn't come off.

21 DR. NARENDRAN: I think we're going a little  
22 off track from the question and discussion. Just

1 to refocus back on the question, is there a  
2 population of patients for whom the benefit-risk of  
3 brexpiprazole appears acceptable? Is there a  
4 population for whom the benefit-risk does not  
5 appear to be favorable? I think we're kind of  
6 moving away. All of these are very important  
7 issues.

8 Dr. Weisman?

9 DR. WEISMAN: Well, yes, I think that's in  
10 the eye of the beholder. What could be  
11 mild-moderate for one family would be very severe  
12 and disruptive for another one. Right now, there  
13 is no standard of care, so there's this witch's  
14 brew of every medication, every intervention that  
15 you could imagine being used. Perhaps except  
16 neuroleptics, because of the scrutiny, they are  
17 underused, they are microdosed, and we see  
18 schizophrenia being overdiagnosed and improperly  
19 diagnosed, so right now, it's a mess. This is an  
20 unmet need. I guess that's not really to the  
21 question of whom would this benefit, but it would  
22 benefit agitated people, because the alternatives

1 are so bad.

2 DR. NARENDRAN: Just one second. I'm just  
3 waiting on some clarification.

4 Does any panel members have any other  
5 thoughts, people we haven't heard from?

6 Dr. Fiedorowicz, I didn't hear from you.

7 DR. FIEDOROWICZ: I don't have any  
8 additional comment.

9 DR. NARENDRAN: Thank you, Jess.

10 I see the sponsor wants to respond, so I'll  
11 give them an opportunity.

12 DR. ISMAIL: Hi. Dr. Ismail here. I'd like  
13 to respond directly to Ms. Witczak's comment about  
14 is this drug used, and is there experience with it.  
15 And I would like to inform the committee that I  
16 actually do have clinical experience with it,  
17 mostly over the last year with an N of about  
18 30 patients.

19 Over this time period, it has basically  
20 supplanted all my other first-line choices when I  
21 need an antipsychotic, which is not unless the  
22 agitation is moderate to severe. It has become my

1 first-line agent for two reasons; number one,  
2 because it is not sedating; and number two, because  
3 it appears to work. And perhaps I'll add a third.  
4 The titration is really a lot easier than my  
5 previous first-line agent, which was aripiprazole,  
6 and it is much better tolerated than all the other  
7 antipsychotic agents with which we have  
8 experienced.

9 Some of my colleagues use very old drugs,  
10 which are much more dangerous, and as my practice  
11 evolves, right now, given the options, this is what  
12 I use first line, when I need an antipsychotic.  
13 Thank you.

14 DR. NARENDRAN: Thank you.

15 Any other panel members? I see  
16 Ms. Johnston.

17 MS. JOHNSTON: Yes. I just want to  
18 say -- and I may be oversimplifying -- in reference  
19 back to the question, is there a population -- we  
20 doubted that it does say there is a population that  
21 this would be acceptable for. Is there a  
22 population for whom the risk does not appear? I



1 don't know that we have enough data to say there's  
2 not, but there definitely is a population that it  
3 could be effective and seems to be effective for.  
4 So I don't know that we can answer the second part  
5 of that question clearly, but I think we can  
6 definitely answer the first part of it. That's  
7 all. Thank you.

8 DR. NARENDRAN: Thank you.

9 If there are no further comments, I will try  
10 to summarize what we've heard. In terms of what  
11 I've heard from multiple people in different ways  
12 was with mild behavior, perhaps behavioral therapy  
13 should be tried, and the more severe the agitation  
14 and the more moderate, brexpiprazole should be a  
15 reasonable option.

16 I also heard that there was probably not a  
17 clear discernible group that we could separate to  
18 say they did not benefit from it, although some  
19 people thought people with very, very severe acute  
20 agitation may not benefit from it, which is sort of  
21 veering into the PRN things, so we decided not to  
22 go there. But overall, it was not very clear, but

1 it is very clear that people who have moderate and  
2 severe agitation would probably benefit by this  
3 medication.

4 Any other thoughts that I didn't put in  
5 there?

6 (No response.)

7 DR. NARENDRAN: I guess not, so we will move  
8 to our voting question.

9 Dr. Joyce Frimpong will provide instructions  
10 for voting, and then I will read the question.

11 Joyce, it's up to you.

12 DR. FRIMPONG: Question 3 is a voting  
13 question. If you are not a voting participant,  
14 you'll be moved to a breakout room. Voting members  
15 will use the Zoom platform to submit their vote for  
16 this meeting. After the chairperson has read the  
17 voting question into the record and all questions  
18 and discussion regarding the wording of the  
19 question are complete, the chairperson will  
20 announce that voting will begin.

21 A voting display will appear where you can  
22 submit your vote. There will be no discussion

1 during the voting session. You should select the  
2 radio button that is the round circular button in  
3 the window that corresponds to your vote, yes, no,  
4 or abstain. Please note that once you click the  
5 submit button, you will not be able to change your  
6 vote. Once all voting members have selected their  
7 vote, I will announce that the vote is closed.

8 Please note that there will be a momentary  
9 pause as we tally the vote results and return  
10 non-voting members into the meeting room. Next,  
11 the vote results will be displayed on the screen.  
12 I will read the vote results from the screen into  
13 the record. Thereafter, the chairperson will go  
14 down the list, and each voting member will state  
15 their name and their vote into the record. You can  
16 also state the reason why you voted as you did, if  
17 you want to; however, you should also address any  
18 subparts of the voting question, if any.

19 Are there any questions about the voting  
20 process before we begin?

21 (No response.)

22 DR. FRIMPONG: Since there are no questions,

1 I will hand it back to you, Dr. Narendran, to read  
2 question number 3.

3 DR. NARENDRAN: Thank you.

4 Question number 3, has the applicant  
5 provided sufficient data to allow identification of  
6 a population in whom the benefits of treating  
7 agitation associated with Alzheimer's dementia with  
8 brexpiprazole outweigh its risks? If you do not  
9 believe the applicant has provided sufficient data,  
10 what additional data is needed to support the use  
11 of brexpiprazole for the treatment of agitation  
12 associated with Alzheimer's dementia?

13 Are there any questions about the question?  
14 Panel members, if you do have any questions about  
15 the question, please raise your hands.

16 (No response.)

17 DR. NARENDRAN: Okay. It seems very clear.

18 If there are no questions or comments  
19 concerning the wording of the question, we will now  
20 begin voting on question number 3.

21 (Voting.)

22 DR. FRIMPONG: Voting has closed and is now

1 complete. After I read the vote results into the  
2 record, the chairperson will go down the list, and  
3 each voting member will state their name and their  
4 vote into the record. You can also state the  
5 reason why you voted as you did, if you want to;  
6 however, you should also address any subparts of  
7 the voting question, if any.

8 There are 9 yeses and 1 no, and no  
9 abstentions.

10 DR. NARENDRAN: Thank you.

11 We will now go down the list and have  
12 everyone who voted state their name and vote into  
13 the record. You may also provide justification of  
14 your vote, if you wish to.

15 We'll start with Dr. Thomas.

16 DR. THOMAS: Patrick Thomas, and I voted  
17 yes.

18 DR. NARENDRAN: Dr. Apostolova?

19 DR. APOSTOLOVA: Liana Apostolova. I also  
20 voted yes. I feel that brexpiprazole has  
21 demonstrated statistical significance and resulted  
22 in a clinically meaningful therapeutic effect, and

1 I'm convinced by the data.

2 DR. NARENDRAN: Dr. Cudkowicz?

3 DR. CUDKOWICZ: Merit Cudkowicz. I also  
4 voted yes, and I was convinced by the benefit and  
5 the unmet need, and also a reasonable safety  
6 profile. Thank you.

7 DR. NARENDRAN: Next is Dr. Iyengar.

8 DR. IYENGAR: This is Satish Iyengar from  
9 Pittsburgh. I also voted yes. I thought the  
10 studies were well done. The analysis was quite  
11 convincing. Generally speaking, I'm always a  
12 little bit leery of secondary analyses looking at  
13 severity, but in this particular case, I think what  
14 people know already from their experience matches  
15 the data, so thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. Fiedorowicz?

18 DR. FIEDOROWICZ: Jess Fiedorowicz. I voted  
19 yes as well. As you may recall from my earlier  
20 comments, I did express concerns about the, either,  
21 width of the confidence intervals of the safety  
22 data, but we do have data from outside of these

1 studies as well while we wait for additional  
2 studies in this population that can be used, and I  
3 felt pretty heavily that the context of the  
4 clinical case needs to be considered in weighing  
5 the risks and benefits, and we wanted to have  
6 patients, families, and providers have that  
7 opportunity.

8 DR. NARENDRAN: Ms. Johnston?

9 MS. JOHNSTON: Yes. I voted yes. I feel  
10 confident with the safety, given this very  
11 difficult population, and I obviously feel like  
12 this is an unmet need that we've got to address,  
13 and I hope this is just the start of it.

14 DR. NARENDRAN: Dr. Paganoni?

15 DR. PAGANONI: Hi. This is Sabrina  
16 Paganoni. I voted yes. I think this was a  
17 well-planned clinical development program, and it  
18 provides prescribers with evidence-based data so  
19 that they can make informed discussions with their  
20 patients, so a good option to have.

21 DR. NARENDRAN: Dr. Weisman?

22 DR. WEISMAN: This is Dave Weisman. I voted

1       yes. I think the data speaks for itself. The  
2       efficacy data was positive and the safety data was  
3       very reassuring. That's it. Thank you.

4               DR. NARENDRAN: The next is me, Raj  
5       Narendran. I voted yes. I was very impressed with  
6       the sponsor's data as well. I thought the agency  
7       and the sponsor worked really well together to  
8       address a very, very difficult area, which there is  
9       such a great need. And given that there are no  
10      good options, I now feel like this data could be  
11      very helpful in informing providers, and families,  
12      and patients about the risks out there, so I kind  
13      of am convinced. I'm very glad to have seen the  
14      study being done, so I voted yes.

15             Ms. Witczak?

16             DR. WITCZAK: Kim Witczak, consumer rep. I  
17      voted no, and I've stated many of the reasons. But  
18      I don't think that the data demonstrated outweighs  
19      the dangers of an antipsychotic, which this is.  
20      Also, this is more for the FDA, but one thing for  
21      words of caution is when you start looking at the  
22      advertising, the marketing, and how it gets



1       communicated to the public, I think we need to  
2       really keep an eye on this. I do agree that it is  
3       an unmet need, and I hope I'm proven wrong in time.  
4       But with this limited amount, I'm not willing to  
5       vote yes for this product. Thank you.

6               DR. NARENDRAN: To summarize, 90 percent of  
7       the panel felt that the sponsor has provided  
8       sufficient data to identify a population in whom  
9       the benefits of treating agitation associated with  
10      Alzheimer's disease outweigh the risks. There was  
11      one panel member that had concern that still more  
12      data is needed to demonstrate that this is  
13      effective and safe.

14              If there are no other comments, before we  
15      adjourn, are there any last comments from the  
16      agency to the public or to the panel?

17              DR. FARCHIONE: Hi. Thanks, Dr. Narendran.  
18      No, I don't have any additional comments. I do  
19      want to just thank the committee for their  
20      thoughtful consideration, thank the sponsor for  
21      providing their comments today, and definitely  
22      thank the folks who participated in the open public

1 hearing session because, of course, that's really  
2 why we're here, is to try to find options for the  
3 folks who are experiencing these symptoms and their  
4 families, and hoping to address an unmet need, so  
5 thank you.

6 **Adjournment**

7 DR. NARENDRAN: Thank you. We will now  
8 adjourn the meeting. Thank you, everyone, and I  
9 want to thank the agency, the sponsor, and also  
10 everybody who participated in the open public  
11 hearing. Thank you.

12 (Whereupon, at 3:26 p.m., the meeting was  
13 adjourned.)  
14  
15  
16  
17  
18  
19  
20  
21  
22