

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Thursday, February 9, 2023

11:00 a.m. to 5:22 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

Rhea Bhatt

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Mark Conaway, PhD

Professor and Director of Translational Research
Division of Translational Research and
Applied Statistics
Department of Public Health Sciences
University of Virginia
Charlottesville, Virginia

1 **Jorge A. Garcia, MD, FACP**

2 (Chairperson)

3 Chief, Division of Solid Tumor Oncology

4 George and Edith Richman Distinguished Scientist

5 Chair

6 Professor of Medicine and Urology

7 GU Medical Oncology Program

8 University Hospitals Seidman Cancer Center

9 Case Comprehensive Cancer Center

10 Case Western Reserve University

11 Cleveland, Ohio

12

13 **Pamela L. Kunz, MD**

14 Associate Professor of Medicine (Oncology)

15 Division Chief, GI Oncology

16 Vice Chief

17 Diversity Equity and Inclusion, Medical Oncology

18 Yale School of Medicine and Yale Cancer Center

19 New Haven, Connecticut

20

21

22

1 **Christopher H. Lieu, MD**

2 Associate Professor of Medicine

3 Associate Director for Clinical Research

4 co-Director, Gastrointestinal Medical Oncology

5 University of Colorado Cancer Center

6 Aurora, Colorado

7

8 **Ravi A. Madan, MD**

9 Senior Clinician, Genitourinary Malignancies Branch

10 Head, Prostate Cancer Clinical Research Section

11 Program Director, Physician-Scientist Early

12 Investigator Program

13 Center for Cancer Research

14 National Cancer Institute

15 National Institutes of Health

16 Bethesda, Maryland

17

18 **David E. Mitchell**

19 *(Consumer Representative)*

20 Founder, Patients for Affordable Drugs

21 Bethesda, Maryland

22

1 **Jorge J. Nieva, MD**

2 Associate Professor of Clinical Medicine

3 Section Head, Solid Tumors

4 University of Southern California (USC) Norris

5 Comprehensive Cancer Center

6 Keck School of Medicine of USC

7 Los Angeles, California

8

9 **Neil Vasan, MD, PhD**

10 Assistant Professor of Medicine

11 Division of Hematology & Oncology

12 Department of Medicine

13 Herbert Irving Comprehensive Cancer Center

14 Columbia University Medical Center

15 New York, New York

16

17

18

19

20

21

22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Albert L. Kraus, PhD**

4 *(Acting Industry Representative)*

5 Global Regulatory Portfolio Lead-Oncology

6 Pfizer, Inc.

7 Guilford, Connecticut

8

9 **TEMPORARY MEMBERS (Voting)**

10 **George J. Chang, MD, MS**

11 Professor and Chair ad interim

12 Department of Colon and Rectal Surgery

13 Sue and Radcliffe Killam Chair

14 Associate Vice President, Regional Surgery Strategy

15 The University of Texas, MD Anderson Cancer Center

16 Houston, Texas

17

18

19

20

21

22

1 **Kristen K. Ciombor, MD, MSCI**

2 Associate Professor of Medicine

3 Department of Internal Medicine

4 Division of Hematology/Oncology

5 Vanderbilt University Medical Center

6 Vanderbilt-Ingram Cancer Center

7 Nashville, Tennessee

8

9 **Evangelia Katsoulakis, MD**

10 Veterans Affairs (VA) Hospital

11 Department of Radiation Oncology and Clinical

12 Informatics

13 Associate Professor Radiation Oncology

14 University of South Florida

15 Tampa, Florida

16

17 **Paul V. Majkowski, Esq.**

18 *(Patient Representative)*

19 Albertson, New York

20

21

22

1 **John H. Park, MD**

2 Staff Physician

3 Department of Radiation Oncology

4 Kansas City VA Medical Center

5 Clinical Assistant Professor

6 University of Missouri Kansas City

7 Kansas City, Missouri

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Richard Pazdur, MD**

11 Director, Oncology Center of Excellence (OCE)

12 Director (Acting)

13 Office of Oncologic Diseases (OOD)

14 Office of New Drugs (OND), CDER, FDA

15

16 **Paul Kluetz, MD**

17 Deputy Center Director, OCE

18 Supervisory Associate Director (Acting)

19 OOD, OND, CDER, FDA

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Steven Lemery, MD, MHS

Director

Division of Oncology Products 3 (DO3)

OOD, OND, CDER, FDA

Lola Fashoyin-Aje, MD, MPH

Deputy Director

DO3, OOD, OND, CDER, FDA

Sandra Casak, MD

Clinical Team Leader

Gastrointestinal Cancers Team

DO3, OOD, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Jorge Garcia, MD, FACP	12
5	Introduction of Committee	
6	Rhea Bhatt	12
7	Conflict of Interest Statement	
8	Rhea Bhatt	19
9	FDA Opening Remarks	
10	Lola Fashoyin-Aje, MD, MPH	25
11	Applicant Presentations - GlaxoSmithKline	
12	Introduction	
13	Ivan Diaz-Padilla, MD, PhD	41
14	Standard of Care	
15	Andrea Cercek, MD	46
16	Scientific Rationale Supporting cCR12	
17	J. Joshua Smith, MD, PhD, FACS	50
18	MSKCC Study Design and Interim Results	
19	Andrea Cercek, MD	55
20	GSK Design of Phase 2 Study	
21	Ivan Diaz-Padilla, MD, PhD	62
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	GSK Commitment to Accelerated Approval	
4	Hesham Abdullah, MD, MSc	66
5	Moderator for Q&A	
6	Gordana Vlahovic, MD, MHS	69
7	Guest Speaker Presentation	
8	Overview of the Management of	
9	Stage II-III Rectal Cancer	
10	Kimmie Ng, MD, MPH	70
11	FDA Presentation	
12	Dostarlimab Development in	
13	dMMR/MSI-H Locally Advanced Rectal Cancer	
14	Sandra Casak, MD	96
15	Clarifying Questions to Presenters	119
16	Open Public Hearing	186
17	Clarifying Questions to Presenters (con't)	205
18	Questions to the Committee and Discussion	233
19	Adjournment	309
20		
21		
22		

P R O C E E D I N G S

(11:00 a.m.)

Call to Order

DR. GARCIA: Good morning, and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is April Grant. Her email and phone number are currently displayed.

My name is Jorge Garcia, and I will be chairing today's meeting. I will now call the first session of the February 9, 2023 meeting of the Oncologic Drug Advisory Committee to order. Rhea Bhatt is the acting designated federal officer for this meeting, and she will begin with introductions.

Introduction of Committee

MS. BHATT: Good morning. My name is Rhea Bhatt, and I'm the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation. We'll begin with the ODAC members, starting with Dr. Conaway.

1 (No response.)

2 MS. BHATT: Dr. Conaway, would you be able
3 to unmute yourself and introduce yourself to the
4 committee?

5 DR. CONAWAY: Mark Conaway, University of
6 Virginia.

7 MS. BHATT: Thank you, Dr. Conaway.

8 Next, we have Dr. Garcia.

9 DR. GARCIA: Jorge Garcia. I'm a GU medical
10 oncologist, a professor of medicine and urology,
11 and the chair of solid tumor oncology at University
12 Hospitals Seidman Cancer Center at Case Western
13 Reserve University in Cleveland, Ohio.

14 MS. BHATT: Thank you.

15 Next, we have Dr. Kunz.

16 DR. KUNZ: Hi. Good morning. My name is
17 Pamela Kunz, and I'm an associate professor of
18 medicine and medical oncology at Yale Cancer Center
19 and Yale School of Medicine, where I serve as the
20 division chief for GI Medical Oncology. Thank you.

21 MS. BHATT: Thank you, Dr. Kunz.

22 Next, we have Dr. Lieu.

1 DR. LIEU: Hi, everybody. My name is Chris
2 Lieu. I'm a GI medical oncologist and associate
3 professor of medicine at the University of Colorado
4 Cancer Center. I also serve as the associate
5 director for clinical research.

6 MS. BHATT: Thank you.

7 Dr. Madan?

8 DR. MADAN: Good morning. My name is Ravi
9 Madan. I'm a medical oncologist at the National
10 Cancer Institute. I'm head of the prostate cancer
11 clinical research section here at the NCI. Thank
12 you.

13 MS. BHATT: Thank you, Dr. Madan.

14 Next, we have our consumer representative,
15 Mr. Mitchell.

16 MR. MITCHELL: I'm David Mitchell. I am the
17 president of an organization called Patients for
18 Affordable Drugs, and I'm a multiple myeloma
19 patient.

20 MS. BHATT: Thank you, Mr. Mitchell.

21 Next, Dr. Nieva.

22 DR. NIEVA: Hi. I am Jorge Nieva, a

1 thoracic medical oncologist, section head of solid
2 tumors, University of Southern California Norris
3 Comprehensive Cancer in Los Angeles, California.

4 MS. BHATT: Thank you, Dr. Nieva.

5 Dr. Vasan?

6 DR. VASAN: Hi. My name is Neil Vasan. I'm
7 a breast medical oncologist and assistant professor
8 at Columbia University, Irving Cancer Center, and
9 I'm also a laboratory head and a laboratory-based
10 physician scientist.

11 MS. BHATT: Thank you, Dr. Vasan.

12 Next, we will move on to temporary voting
13 members. First, we have Dr. Chang.

14 DR. CHANG: Good morning. My name is George
15 Chang. I'm a professor and chair ad interim in the
16 Department of Colon and Rectal Surgery at the
17 University of Texas, MD Anderson Cancer Center.
18 Thank you.

19 MS. BHATT: Thank you.

20 Next, we have Dr. Ciombor.

21 DR. CIOMBOR: Hi. I'm Kristen Ciombor. I'm
22 a GI medical oncologist and associate professor of

1 medicine at Vanderbilt University.

2 MS. BHATT: Thank you.

3 Dr. Katsoulakis?

4 DR. KATSOULAKIS: Hi. I'm Evangelia
5 Katsoulakis. I'm a radiation oncologist and
6 clinical informaticist. I work for the James Haley
7 Tampa VA in [indiscernible] informatics, and
8 associate professor of radiation oncology at the
9 University of South Florida School of Medicine and
10 Tampa General Hospital. Thank you.

11 MS. BHATT: Thank you.

12 Next, we have our patient representative,
13 Mr. Majkowski.

14 MR. MAJKOWSKI: Good morning. I'm Paul
15 Majkowski, patient representative, a rectal cancer
16 survivor from Albertson, New York.

17 MS. BHATT: Thank you, Mr. Majkowski.

18 Dr. Park?

19 DR. PARK: Hi. John Park, radiation
20 oncologist at the Kansas City VA. I'm also the co-
21 chair of the pharmacy and therapeutic community
22 here; glad to be here today.

1 MS. BHATT: Thank you, Dr. Park.

2 Next, we have our industry representative,
3 Dr. Kraus.

4 DR. KRAUS: Hi. Good morning, everyone.
5 Albert Kraus, industry representative. I'm a
6 biologist with decades of drug development, cancer
7 drug development in particular experience, and I'm
8 currently an employee of Pfizer.

9 MS. BHATT: Thank you, Dr. Kraus.

10 Next, we'll move on to FDA participants.

11 First, we have Dr. Pazdur.

12 DR. PAZDUR: Hi. Rick Pazdur. I'm the
13 director of the Oncology Center of Excellence at
14 the FDA.

15 MS. BHATT: Thank you.

16 Next, Dr. Kluetz.

17 DR. KLUETZ: Hi. My name is Paul Kluetz.
18 I'm the deputy director for the Oncology Center of
19 Excellence at the FDA.

20 MS. BHATT: Thank you.

21 Dr. Lemery?

22 DR. LEMERY: Hello. Steven Lemery,

1 director, DO3.

2 MS. BHATT: Thank you.

3 Dr. Fashoyin-Aje?

4 DR. FASHOYIN-AJE: Lola Fashoyin-Aje, deputy
5 director, Division of Oncology 3.

6 MS. BHATT: And Dr. Casak?

7 DR. CASAK: Good morning. I'm the acting
8 team leader for the gastrointestinal and
9 malignancies team in the Division of Oncology 3.

10 MS. BHATT: Thank you. That concludes panel
11 and FDA introductions.

12 Dr. Garcia?

13 DR. GARCIA: For topics such as those being
14 discussed at this meeting, there are often a
15 variety of opinions, some of which are quite
16 strongly held. Our goal is that this meeting will
17 be a fair and open forum for discussion of these
18 issues and that individuals can express their views
19 without interruption. Thus, a gentle reminder,
20 individuals will be allowed to speak into the
21 record only if recognized by the chairperson. We
22 look forward to a productive meeting.

1 In the spirit of the Federal Advisory
2 Committee Act and the Government in the Sunshine
3 Act, we ask that the advisory committees members
4 take care that their conversations about the topic
5 at hand take place in the open forum of the
6 meeting.

7 We are aware that members of the media are
8 anxious to speak with the FDA about these
9 proceedings, however, FDA will refrain from
10 discussing the details of this meeting with the
11 media until its conclusion. Also, the committee is
12 reminded to please refrain from discussing the
13 meeting topic during the breaks. Thank you.

14 Rhea Bhatt will now read the Conflict of
15 Interest Statement for the meeting.

16 **Conflict of Interest Statement**

17 MS. BHATT: The Food and Drug Administration
18 is convening today's meeting of the Oncologic Drugs
19 Advisory Committee under the authority of the
20 Federal Advisory Committee Act, FACA, of 1972.
21 With the exception of the industry representative,
22 all members and temporary voting members of the

1 committee are special government employees, SGEs,
2 or regular federal employees from other agencies
3 and are subject to federal conflict of interest
4 laws and regulations.

5 The following information on the status of
6 this committee's compliance with federal ethics and
7 conflict of interest laws, covered by but not
8 limited to those found at 18 U.S.C. Section 208, is
9 being provided to participants in today's meeting
10 and to the public.

11 FDA has determined that members and
12 temporary voting members of this committee are in
13 compliance with federal ethics and conflict of
14 interest laws. Under 18 U.S.C. Section 208,
15 Congress has authorized FDA to grant waivers to
16 special government employees and regular federal
17 employees who have potential financial conflicts
18 when it is determined that the agency's need for a
19 special government employee's services outweighs
20 his or her potential financial conflict of interest
21 or when the interest of a regular federal employee
22 is not so substantial as to be deemed likely to

1 affect the integrity of the services which the
2 government may expect from the employee.

3 Related to the discussion of today's
4 meeting, members and temporary voting members of
5 this committee have been screened for potential
6 financial conflicts of interests of their own as
7 well as those imputed to them, including those of
8 their spouses or minor children and, for purposes
9 of 18 U.S.C. Section 208, their employers. These
10 interests may include investments; consulting;
11 expert witness testimony; contracts, grants,
12 CRADAs; teaching, speaking, writing; patents and
13 royalties; and primary employment.

14 Today's agenda involves the discussion of
15 the investigational new drug application 157775,
16 for dostarlimab-gxly for injection, submitted by
17 GlaxoSmithKline. The proposed indication, or use,
18 for this product is as a single agent for the
19 treatment-naïve mismatch repair deficiency/
20 microsatellite instability-high rectal cancer. FDA
21 would like to obtain the committee's input on the
22 following: 1) the adequacy of proposed trials to

1 evaluate the benefits and risks of dostarlimab for
2 the proposed indication, including trial design,
3 study population, clinical endpoint, and patient
4 follow-up; and 2) the adequacy of the proposed data
5 package to permit an assessment of the benefits and
6 risks of dostarlimab for the proposed indication.

7 This is a particular matters meeting during
8 which specific matters related to GlaxoSmithKline's
9 IND will be discussed. Based on the agenda for
10 today's meeting and all financial interests
11 reported by the committee members and temporary
12 voting members, a conflict of interest waiver has
13 been issued in accordance with 18 U.S.C.
14 Section 208(b)(3) to Dr. Kristen Ciombor.

15 Dr. Ciombor's waiver involves her employer's
16 research funded by the National Cancer Institute
17 for which her employer received between \$0 and
18 \$8,000 per patient enrolled in the research study.
19 The waiver allows this individual to participate
20 fully in today's deliberation. FDA's reasons for
21 issuing the waiver are described in the waiver
22 documents, which are posted on FDA's website at

1 fda.gov/advisorycommittees/
2 committeesandmeetingmaterials/humandrugadvisory
3 committees. Copies of the waiver may also be
4 obtained by submitting a written request to the
5 agency's Freedom of Information Division,
6 5630 Fishers Lane, Room 1035, Rockville, Maryland,
7 or requests may be sent via fax to 301-827-9267.

8 To ensure transparency, we encourage all
9 standing committee members and temporary voting
10 members to disclose any public statements they have
11 made concerning the product at issue.

12 With respect to FDA's invited industry
13 representative, we would like to disclose that
14 Dr. Albert Kraus is participating in this meeting
15 as a non-voting industry representative acting on
16 behalf of regulated industry. Dr. Kraus' role at
17 this meeting is to represent industry in general
18 and not any particular company. Dr. Kraus is
19 employed by Pfizer.

20 For the record, Dr. Kimmie Ng has
21 acknowledged being a principal investigator or
22 co-investigator for several contracts or grants

1 involving the National Cancer Institute; Cancer
2 Research UK; Colorectal Cancer Alliance;
3 Pharmavite; Evergrande Group; Janssen; and
4 Revolution Medicines.

5 Dr. Ng has acknowledged receiving speaker
6 fees from Bayer and being the scientific advisor
7 for Pfizer and Bayer. Dr. Ng has acknowledged
8 being the scientific advisor for GlaxoSmithKline
9 and receiving less than \$10,000 in 2022. As a
10 guest speaker, Dr. Ng will not participate in
11 committee deliberations, nor will Dr. Ng vote.

12 We would like to remind members and
13 temporary voting members that if the discussions
14 involve any other products or firms not already on
15 the agenda for which an FDA participant has a
16 personal or imputed financial interest, the
17 participants need to exclude themselves from such
18 involvement, and their exclusion will be noted for
19 the record. FDA encourages all other participants
20 to advise the committee of any financial
21 relationships that they may have with the firm at
22 issue. Thank you.

1 Back to you, Dr. Garcia.

2 DR. GARCIA: Thank you, Ms. Bhatt.

3 We will now proceed with the FDA
4 introductory comments from Dr. Lola Fashoyin-Aje.

5 **FDA Opening Remarks - Lola Fashoyin-Aje**

6 DR. FASHOYIN-AJE: Good morning, members of
7 the committee, the GlaxoSmithKline team, invited
8 guests, and FDA colleagues. I'm Lola Fashoyin-Aje,
9 and I'm a medical oncologist and the deputy
10 director for the Division of Oncology 3. I welcome
11 you all to this convening of the Oncologic Drugs
12 Advisory Committee to discuss the proposed clinical
13 development program for dostarlimab, for the
14 treatment of deficient mismatch repair or
15 microsatellite instability-high locally advanced
16 rectal cancer.

17 Dostarlimab is an approved programmed
18 death receptor-1-blocking monoclonal antibody.
19 GlaxoSmithKline, heretofore referred to as GSK, is
20 developing dostarlimab for the treatment of
21 patients with deficient mismatch repair or
22 microsatellite-high locally advanced rectal cancer,

1 which I will refer to as the proposed indication
2 throughout my presentation. Prior to providing you
3 an overview of the issues for discussion today, I
4 refer the committee to reports of the preliminary
5 efficacy results of a single institution study of
6 dostarlimab in patients with deficient mismatch
7 repair, locally advanced rectal cancer. These
8 results have been discussed at major oncology
9 conferences and have been reported on in prominent
10 journals.

11 In this single-arm study conducted at the
12 Memorial Sloan Kettering Cancer Center, patients
13 received dostarlimab every 3 weeks for 6 months,
14 followed by non-operative care and were followed
15 for clinical response. In a report published in
16 the New England Journal of Medicine, study
17 investigators reported a 100 percent clinical
18 complete response rate for all 12 participants who
19 had completed treatment. After a median follow-up
20 of one year, none had needed other treatments or
21 had had cancer regrowth.

22 These results have generated enthusiasm and

1 caution in equal measure. If demonstrated to be
2 safe and efficacious in clinical trials, treatment
3 with dostarlimab will likely change the treatment
4 paradigm for this disease, providing a
5 radiation-free, non-operative management treatment
6 option for patients with locally advanced rectal
7 cancer who would typically receive multimodality
8 therapy that is associated with substantial
9 toxicity and lifelong treatment-related sequelae.
10 However, the preliminary nature of these data
11 cannot be overstated, and further study is needed
12 to determine whether these results can be
13 replicated in a larger cohort of patients and
14 across many different clinical care settings that
15 have variable expertise in the non-operative
16 management of this disease.

17 My presentation will follow this outline. I
18 will conclude my remarks by presenting the topics
19 for which FDA is seeking the committee's thoughtful
20 discussion and recommendation.

21 We referred this program for discussion at
22 the ODAC, as we have typically done, to ensure

1 transparency and to get input from the community on
2 the clinical and regulatory issues before the FDA.
3 GSK proposes to conduct a multicenter, single-arm
4 trial similar to the previously described single
5 institution study. The two trials will evaluate
6 dostarlimab as a treatment that would replace the
7 current standard of care, which is administered
8 with curative intent.

9 The primary efficacy endpoint is clinical
10 complete response at 12 months. Data from these
11 two single-arm studies are proposed to be the basis
12 of a marketing application seeking accelerated
13 approval. Analysis of clinical complete response
14 and event-free survival, after additional
15 follow-up, are proposed to provide confirmatory
16 evidence of dostarlimab's effectiveness.

17 We are asking the committee to discuss and
18 provide input on the adequacy of the proposed
19 strategy to demonstrate the safety and
20 effectiveness of dostarlimab as a treatment for
21 dMMR/MSI-high locally advanced rectal cancer. We
22 would like your thoughtful input on the measures

1 that can be taken now, early in the clinical
2 development of dostarlimab, to generate the data
3 that will demonstrate the safety and effectiveness
4 of dostarlimab for the proposed indication,
5 specifically with respect to the proposed use of
6 single -arm trials in the curative-intent setting;
7 the clinical endpoints; the patient population; and
8 the adequacy of the data to be generalizable to
9 patients with locally advanced rectal cancer and
10 across diverse treatment settings with respect to
11 experience administering non-operative management.

12 I will now provide a brief overview of the
13 disease background. Please note that FDA's invited
14 guest from the Dana-Farber Cancer Institute will
15 provide a more extensive review of rectal cancer
16 treatment and outcomes using standard-of-care
17 treatment, as well as the non-operative management
18 approach that is used at some highly specialized
19 centers.

20 Rectal cancer is often described together
21 with colon cancer, which may result in
22 underestimation of its true incidence. According

1 to the American Cancer Society, an estimated
2 46,000 cases will be diagnosed this year in the
3 United States. Approximately 12 to 15 percent of
4 colorectal cancer cases are dMMR/MSI-high, with
5 decreasing frequency as stage of the disease
6 increases from stage I to stage IV. The data for
7 dMMR prevalence in rectal cancer are limited, but
8 published reports indicate 2 to 20 percent of
9 rectal cancers are dMMR. Treatment of rectal
10 cancer varies by stage, and treatment of stage II
11 and III disease is the topic for discussion today.

12 This slide depicts the standard-of-care
13 treatment of locally advanced rectal cancer and
14 outcomes, and please note that this treatment
15 paradigm is applied irrespective of MMR or MSI
16 status. Details regarding the preferred
17 neoadjuvant chemotherapy and radiotherapy regimens
18 and treatment sequencing used will be discussed in
19 subsequent presentations, but following completion
20 of neoadjuvant treatment, patients undergo
21 resection of the rectum and may receive additional
22 chemotherapy postoperatively. While outcomes are

1 generally good, some patients do experience local
2 tumor returns and distant tumor metastases.
3 Treatment-related adverse events can be
4 significant.

5 As a result, interest in a surgery-sparing
6 or non-operative management approach has been the
7 subject of ongoing investigation. This approach
8 requires careful monitoring or watchful waiting of
9 patients who have a clinical response to
10 chemoradiation or chemoradiation plus chemotherapy;
11 however, use of a non-operative management strategy
12 is variably implemented, largely based on
13 institutional experience and expertise, and due to
14 the limitations of the historical data that informs
15 current use of this approach.

16 Variability exists with respect to patient
17 selection, treatment administered prior to the
18 period of watchful waiting, and in the clinical
19 assessment methods used to determine clinical
20 response. This slide illustrates the market
21 heterogeneity across studies with variability at
22 practically every decision point in the continuum

1 of this approach, as highlighted by the orange
2 arrows.

3 The differences across studies pose
4 challenges for establishing benchmarks for the
5 non-operative management approach. Shown here are
6 the largest series describing outcomes in patients
7 who underwent non-operative management. These
8 studies will be reviewed in detail in subsequent
9 presentations.

10 The only prospective evaluation of the
11 non-operative management approach to date is shown
12 on the right column. The organ preservation of
13 rectal adenocarcinoma, or OPRA trial, investigated
14 non-operative management using different sequencing
15 of chemotherapy and chemoradiotherapy in patients
16 with locally advanced rectal cancer. Patients were
17 randomized to one of two treatment arms of 5FU and
18 oxaliplatin-based chemotherapy, followed by
19 chemoradiation or the reverse. Please note the
20 differences in tumor regrowth and organ
21 preservation rates across arms, which differed only
22 in the sequencing of therapy.

1 To summarize, there is market heterogeneity
2 across studies evaluating patients who underwent
3 non-operative management for locally advanced
4 rectal cancer, leading to residual uncertainties
5 that stem from challenges in interpreting results.
6 Consequently, benchmarks for the non-operative
7 management approach have not been established in
8 the overall locally advanced rectal cancer
9 population, let alone in the dMMR/MSI-high
10 population.

11 Relevant to today's discussion is the
12 unclear relationship of clinical complete response
13 to long-term outcomes of benefit, and equally as
14 important is the unclear significance of clinical
15 complete response observed in the setting of
16 chemotherapy and radiation therapy versus a
17 clinical complete response in the setting of a
18 radiation-free treatment approach as proposed in
19 the dostarlimab program.

20 I will now very briefly describe the
21 dostarlimab development program, as the applicant
22 will be discussing this in greater detail. I will

1 highlight some regulatory considerations. Although
2 we will not be discussing the benefit-risk
3 assessment in the context of a marketing
4 application, I will briefly review FDA's
5 evidentiary standard for approval because we are
6 asking the committee to provide input on the
7 adequacy of the proposed data package, which GSK
8 intends to be the basis of a BLA submission. To
9 receive approval, a sponsor must provide evidence
10 that the drug is safe and effective for its
11 intended use, and the data must come from adequate
12 and well-controlled trials.

13 There are two approval pathways.
14 Accelerated approval is granted to drugs that treat
15 serious or life-threatening diseases to address an
16 unmet medical need, and approval is granted based
17 on an improvement over available therapy as
18 measured by an intermediate endpoint that can be
19 evaluated earlier before irreversible morbidity or
20 mortality, and that is reasonably likely to predict
21 clinical benefit.

22 In granting accelerated approval, FDA may

1 require confirmatory trials to verify and describe
2 clinical benefit, and traditional approval is
3 generally granted to drugs that demonstrate
4 clinical benefit as measured by effects on how
5 patients feel, function, or survive.

6 For approvals in the early non-metastatic,
7 curative-intent setting, FDA has typically
8 requested randomized-controlled trials that compare
9 an investigational therapy to standard of care or
10 that evaluate the investigational agent as an
11 add-on to standard of care with approval based on
12 established endpoints of clinical benefits such as
13 survival.

14 Regulatory dossiers that include analysis of
15 time-to-event endpoints in the context of a
16 single-arm trial are discouraged because the
17 results are uninterpretable in the absence of a
18 comparator group. A noteworthy exception to these
19 general principles is the use of a durable complete
20 response rate as an endpoint in single-arm trials
21 investigating therapies for patients with BCG
22 unresponsive, high-risk, non-muscle invasive

1 bladder cancer with carcinoma in situ. In this
2 clinical scenario, cystectomy provides a curative
3 option, but it is associated with significant
4 morbidity and a 90-day mortality rate that may be
5 as high as 10 to 15 percent in older patients.

6 The considerations for acceptance of a
7 complete response rate evaluated in a single-arm
8 trial in this curative setting to support approval
9 of products for the treatment of BCG unresponsive,
10 high-risk, non-muscle invasive bladder cancer
11 in situ include the lack of suitable therapy to
12 serve as comparator in randomized clinical trials
13 and public stakeholder discussions with FDA's
14 participation and agreement on endpoints, trial
15 designs, treatment assessment and follow-up that
16 would be adequate for trials designed to support
17 regulatory action, and FDA subsequent guidance to
18 industry that describes FDA's expectations for an
19 adequate data package.

20 The top of this slide shows the two
21 single-arm studies that GSK plans to submit in a
22 future marketing application. The key efficacy

1 endpoints are shown in the right column. Clinical
2 complete response rate at 12 months is proposed to
3 support an application for accelerated approval,
4 and in blue are the endpoints proposed to confirm
5 and verify clinical benefit. A third study of
6 perioperative dostarlimab in locally advanced colon
7 cancer is proposed to provide supportive evidence
8 of the safety and effectiveness of dostarlimab.

9 I will now present the discussion topics.
10 To facilitate adequate discussion across select
11 issues regarding GSK's program, we have identified
12 topics for discussion. While these are related
13 issues, we ask that the committee allot time to
14 discuss each topic separately to facilitate clear
15 understanding of the committee's perspective and
16 recommendations.

17 As a first topic, please discuss the
18 adequacy of the proposed single-arm trials to
19 evaluate the efficacy and safety of dostarlimab,
20 including the long-term benefits and risks of
21 treatment, taking into account the curative-intent
22 setting and the fact that available non-operative

1 management treatment option includes radiotherapy.

2 We are also seeking the committee's input on
3 the adequacy of the proposed clinical endpoints to
4 characterize and verify the benefit of dostarlimab.
5 Please take into account the uncertainties
6 regarding the relationship between clinical
7 complete response rate and endpoints denoting
8 clinical benefit in the context of current
9 treatment options. Discuss the magnitude and
10 durability of clinical complete response rates that
11 is reasonably likely to predict clinical benefit
12 and the adequacy of event-free survival
13 investigated in a single-arm trial to characterize
14 clinical benefit.

15 As a third topic, discuss relevant issues to
16 be considered in the general locally advanced
17 rectal cancer population, which represents a
18 heterogeneous group with respect to risk of
19 recurrence, and the potential impact of a
20 non-operative management approach that importantly
21 will not include radiation therapy for local
22 control.

1 Are there subgroups within the locally
2 advanced rectal cancer entity for whom the
3 benefit-risk assessment would differ significantly
4 using a non-operative approach; that is for whom
5 surgical resection is necessary to achieve
6 long-term outcomes? Are there patients who are at
7 higher risk of recurrence, who should be adequately
8 represented in the proposed clinical studies to
9 inform the benefits and risks of dostarlimab across
10 the population?

11 Finally, discuss the potential impact of the
12 variability in care, expertise, and experience
13 across diverse clinical settings on study conduct,
14 and ultimately on outcome. Should site selection
15 for the proposed trials consider the diverse
16 settings that will likely administer dostarlimab
17 should it be approved?

18 Following what we hope will be an
19 informative discussion, we ask that the committee
20 vote on the following question. Will the data from
21 the proposed single-arm trials, enrolling a total
22 of 130 patients, be sufficient to characterize the

1 benefits and risks of dostarlimab in the
2 curative-intent setting that is dMMR/MSI-high,
3 locally advanced rectal cancer?

4 This concludes my presentation. I thank you
5 for your attention.

6 DR. GARCIA: Thank you, Dr. Fashoyin-Aje.

7 Both the FDA and the public believe in a
8 transparent process for information gathering and
9 decision making. To ensure such transparency at
10 the advisory committee meeting, FDA believes that
11 it is important to understand the context of an
12 individual's presentation.

13 For this reason, FDA encourages all
14 applicants, including the GlaxoSmithKline, LLC
15 non-employee presenters, to advise the committee of
16 any financial relationship they may have with the
17 sponsor, such as consulting fees, travel expenses,
18 honoraria, and interest in the sponsor, including
19 equity interests and those based on the outcome of
20 the meeting.

21 Likewise, FDA encourages you at the
22 beginning of your presentation to advise the

1 committee if you do not have any such financial
2 relationships. If you choose not to address this
3 issue of financial relationships at the beginning
4 of your presentation, it will not preclude you from
5 speaking.

6 We will now proceed with the presentations
7 from GlaxoSmithKline.

8 **Applicant Presentation - Ivan Diaz-Padilla**

9 DR. DIAZ-PADILLA: Good morning. My name is
10 Ivan Diaz-Padilla, and I'm responsible for
11 immuno-oncology clinical development at GSK. We
12 look forward to today's discussion about our
13 planned study, which has been designed to
14 objectively evaluate the benefit-risk of
15 dostarlimab for treatment-naïve rectal cancer
16 patients. This is a study that, if successful, is
17 likely to change the treatment paradigm.

18 First, it is important to note that mismatch
19 repair deficient microsatellite instability-high
20 tumors, known as dMMR/MSI-high, are highly
21 susceptible to checkpoint inhibitors. This is due
22 to several factors, including increased expression

1 of PD-1 and PD-L1 in tumors, increased tumor
2 infiltrated lymphocytes, and increased neoantigen
3 due to high tumor mutational burden.

4 A subset of rectal cancer is caused by this
5 rare mutation. Like in other solid tumors,
6 dMMR/MSI-high has become a well-established
7 predictive biomarker of response to PD-1
8 inhibition, and that is also the case in rectal
9 cancer. As such, NCCN guidelines recommend its
10 testing for all patients with rectal cancer.

11 Dostarlimab is an established anti-PD-1
12 monoclonal antibody for advanced, recurrent
13 dMMR/MSI-high tumors. It has received accelerated
14 approval in two indications for adult patients:
15 first, for endometrial cancer that has progressed
16 on or following treatment with a
17 platinum-containing regimen; and second, for any
18 solid tumor that has progressed on or following
19 treatment, and for which there is no alternative
20 treatment option.

21 These indications are based on our GARNET
22 multicohort, single-arm trial, where dostarlimab

1 demonstrated deep and durable responses in
2 second-line and beyond dMMR/MSI-high solid tumors.
3 GARNET showed an objective response rate of
4 44 percent. The median duration of response was
5 not reached, and the estimated percent of patients
6 maintaining a response for 12 and 24 months was
7 92 percent and 85 percent, respectively.

8 Importantly, for our discussion today, the
9 study included 105 patients with colorectal cancer
10 and demonstrated a confirmed objective response
11 rate of 43 percent. While the median duration of
12 response was not reached, it ranged from 2.8 to
13 41.5 months. Understanding dostarlimab's
14 effectiveness in metastatic dMMR/MSI-high it was
15 also hypothesized that it could also be affected in
16 locally advanced cancers.

17 To investigate this, a team of researchers
18 at the Memorial Sloan Kettering Cancer Center is
19 running an ongoing study using dostarlimab
20 monotherapy earlier in a patient's rectal cancer
21 treatment journey [indiscernible]. As you will
22 hear, this study is evaluating neoadjuvant

1 dostarlimab in dMMR/MSI-high locally advanced
2 rectal cancer and has demonstrated unprecedented
3 efficacy, delivering clinical complete responses in
4 all patients.

5 For those patients eligible for a 12-month
6 evaluation following treatment with dostarlimab,
7 the response has persisted. All have sustained
8 their complete clinical response, achieving a
9 cCR 12; and further, all patients have avoided the
10 adverse effect associated with the standard of
11 care.

12 Following these results, GSK designed a
13 larger global study with endpoints that align with
14 the MSK study to further demonstrate the benefit of
15 dostarlimab in these patients. The study intends
16 to enhance the robustness and demonstrate
17 reproducibility of the MSK methods. This is the
18 study design being presented for your input today.
19 It is also the study we plan to pool with the
20 results from MSK to support accelerated approval in
21 this indication.

22 Study 219369 is a multicenter, single-arm,

1 phase 2 study that will establish the efficacy of
2 dostarlimab in locally advanced dMMR/MSI-high
3 rectal cancer. The primary endpoint is a sustained
4 clinical complete response for 12 months, cCR12.
5 Published evidence shows that achieving a cCR12
6 predicts long-term clinical benefit, including
7 being potentially curative without the need to
8 surgically remove the rectum, which often happens
9 with the current standard of care. We plan to
10 start enrolling patients in April of this year.

11 Let me take a moment to define clinical
12 complete response and cCR12. First, it is
13 important to understand that a clinical complete
14 response is a stringent endpoint defined as the
15 absence of any abnormality or residual disease
16 based on both endoscopic and MRI examinations.
17 Assessing cCR following the adjuvant treatment is
18 being pursued by investigators as a means to manage
19 a patient's cancer with a non-operative approach,
20 with the goal of organ preservation.

21 cCR12 builds on the stringency upon initial
22 cCR to demonstrate durability 12 months following

1 completion of therapy. During this time, patients
2 are carefully monitored with a non-operative
3 management approach. Sustaining a clinical
4 complete response for 12 months predicts
5 disease-free survival at 5 years, another long-term
6 clinical benefit, including overall survival.

7 With that as an introduction, here's the
8 agenda for the rest of our presentation. All
9 external presenters have been compensated for their
10 time to prepare for this meeting, and now I will
11 turn it over to Dr. Cercek.

12 **Applicant Presentation - Andrea Cercek**

13 DR. CERCEK: Thank you, Dr. Diaz-Padilla.

14 Good morning. I'm Andrea Cercek from
15 Memorial Sloan Kettering. I'm glad to have the
16 opportunity to discuss the challenges we face in
17 caring for our patients with dMMR/MSI-high locally
18 advanced rectal cancer.

19 To begin, it's important to understand that
20 we are discussing a rare form of a serious cancer.
21 Locally advanced rectal cancer is defined as either
22 stage II or III disease, and in the United States,

1 more than 20,000 individuals are diagnosed with
2 this stage of rectal cancer every year; and of,
3 those, only about 5 to 10 percent are known to have
4 the dMMR/MSI-high mutation, which is a distinct
5 group within the overall rectal cancer population.
6 Biomarker status varies across rectal tumor stages.
7 The highest incidence occurs in stage II, and then
8 decreases with increasing stage.

9 So let's review the current treatment
10 approaches. There are two established standards of
11 care for treating dMMR/MSI-high locally advanced
12 rectal cancer. Both include trimodality therapy
13 with chemotherapy, radiation, and surgery, which is
14 also known as total mesorectal excision where the
15 rectum is removed.

16 One approach, standard neoadjuvant therapy,
17 utilizes neoadjuvant chemoradiation, followed by
18 surgery, and then adjuvant chemotherapy. The
19 second approach is known as total neoadjuvant
20 therapy, or TNT, where all treatment is given up
21 front before surgery. And while these intense
22 standards of care can be curative, approximately

1 one-third of patients still succumb to metastatic
2 disease.

3 Many patients undergoing a total mesorectal
4 excision require a temporary colostomy, and up to
5 30 percent become permanent due to the tumor
6 location. Colostomies are associated with a
7 variety of issues, including social/physiological
8 dysfunction, depression, and stoma complications.
9 Even without a permanent colostomy, the effects of
10 surgery and radiation may impair survivorship and a
11 patient's quality of life.

12 Following a partial or total resection of
13 the rectum, patients can experience low anterior
14 resection syndrome, which is manifested by fecal
15 incontinence, urgency, and diarrhea. In addition,
16 rectal surgery results in sexual dysfunction in the
17 majority of patients and can also lead to urinary
18 dysfunction. Finally, radiotherapy results in
19 infertility and menopause in women due to the
20 location of the ovaries and uterus within the
21 radiation field, and has also been associated with
22 a 3-fold increased risk of developing gynecologic

1 cancers.

2 These serious complications are among the
3 reasons why there has been a growing movement
4 towards non-operative management to achieve a
5 clinical complete response after neoadjuvant
6 therapy. In fact, NCCN guidelines state that a
7 non-operative approach may be undertaken in centers
8 with multidisciplinary teams that can objectively
9 determine a cCR. So not only do we have stringent
10 criteria to determine a clinical complete response,
11 but the protocol for non-operative management is
12 also rigorous and includes careful monitoring
13 throughout a 5-year surveillance period. This
14 enables early detection of any tumor regrowth,
15 allowing for timely treatment.

16 Despite these advances that have improved
17 the rates of complete responses, the majority of
18 patients are not candidates for non-operative
19 management and are therefore unable to avoid
20 surgery and its associated functional compromise;
21 and this is within a population of all locally
22 advanced rectal cancer patients.

1 Data have shown that the tumors that are
2 dMMR/MSI-high are less sensitive to chemotherapy.
3 These outcomes emphasize the high unmet need for
4 patients with this rare form of rectal cancer.
5 They are treated with a standard of care that may
6 be curative but also carries significant
7 morbidities and long-term sequelae. As such, our
8 research at Memorial Sloan Kettering is not only
9 focusing on identifying a more efficacious
10 treatment for this biomarker-selected population,
11 but also one that offers reduced morbidities and
12 the potential for organ preservation with non-
13 operative management.

14 Let me now introduce my colleague, Dr. Josh
15 Smith, who will walk you through the scientific
16 rationale underpinning the selection of a sustained
17 clinical complete response as a primary endpoint.

18 **Applicant Presentation - Joshua Smith**

19 DR. SMITH: Thank you, Dr. Cercek.

20 I'm Josh Smith, surgical oncologist and
21 associate attending surgeon from Memorial Sloan
22 Kettering Cancer Center. Let's start with how a

1 clinical complete response is correlated with
2 disease-free survival at 3 years in a neoadjuvant
3 chemoradiation setting.

4 These data from the landmark organ
5 preservation in rectal adenocarcinoma trial, or
6 OPRA, were presented at ASCO in 2021. OPRA was the
7 first prospective trial investigating non-operative
8 management in locally advanced rectal cancer
9 patients who achieved cCR after total neoadjuvant
10 therapy. As seen in yellow, patients who attained
11 a clinical complete response, which excludes near
12 complete response, were more likely to be alive at
13 3 years without disease, keep their organs, and
14 avoid surgery for 3 consecutive years compared to
15 patients with a near or incomplete response.

16 Here's a map showing the multiple
17 institutions across North America that were all
18 contributors in the OPRA trial. These sites were
19 geographically diverse and included both academic
20 and non-academic centers. When I reflect on OPRA,
21 I see two key ingredients to its success. First,
22 we were able to create consensus criteria that

1 standardize the evaluation and determination for
2 cCR using input from global experts; and second, we
3 were able to then implement these criteria
4 prospectively to allow for non-operative management
5 in patients who achieve a clinical complete
6 response. These are critically important
7 considerations since the potential for inter-site
8 variability was one of the questions FDA has
9 raised.

10 Now, let's consider patient outcomes based
11 on achieving a cCR12. Here, I'm presenting
12 published data showing that achieving a sustained
13 cCR for 12 months is predictive of long-term
14 clinical outcomes. When looking at disease-free
15 survival on the top plot and overall survival on
16 the bottom, 92 percent of patients achieved a cCR
17 and were managed by watch and wait, and had 5-year
18 disease-free survival, and 100 percent achieved
19 5-year overall survival. As you can see, the
20 results are for patients achieving a cCR12 or
21 higher compared to those who achieved a pathologic
22 complete response after neoadjuvant chemoradiation,

1 compared with radical surgery for both 5 years
2 disease-free and overall survival.

3 Now focusing on anti-PD-1 efficacy in
4 dMMR/MSI-high colorectal tumors, here are published
5 data from phase 2 and retrospective studies that
6 consistently demonstrate high complete response
7 rates when anti-PD-1s are used as neoadjuvant
8 therapy. These dMMR/MSI-high tumors showed
9 consistent susceptibility to immunotherapy,
10 providing confidence in the ability to attain high
11 rates of complete response.

12 It's also critical to mention the growing
13 consensus in the patient and medical communities
14 for adopting the non-operative management approach
15 and cCR as an endpoint in rectal cancer clinical
16 trials. Data from the OPRA trial, as well as in
17 the retrospective analyses I just reviewed have
18 resulted in patients expressing unwillingness to be
19 randomized to radical surgery versus the
20 non-operative management approach after achieving a
21 cCR to neoadjuvant treatment.

22 Data are also influencing the medical and

1 research community, and here I'm showing three new
2 large prospective studies in rectal cancer that
3 have adopted non-operative management in cCR as an
4 endpoint. The first is the National Cancer
5 Institute sponsored JANUS rectal cancer trial. I'm
6 the primary investigator, and we plan to enroll
7 more than 300 participants with locally advanced
8 rectal cancer; and I'll note that we are excluding
9 patients with dMMR tumors since we believe they're
10 a different population and may not be sensitive to
11 chemotherapy.

12 The Japanese study that was just presented
13 at the ASCO GI symposium last month, and
14 importantly also excludes dMMR/MSI-high rectal
15 cancer patients, is important to note. Notably,
16 the 700-patient German study has rapidly accrued
17 more than 50 percent of the patients given the
18 integrated non-operative management approach cCR
19 endpoint and of course patient interest.

20 One final point is that in the event that a
21 patient does experience a local regrowth of their
22 primary tumor, data support our ability to

1 successfully perform surgery and deliver favorable
2 outcomes. In OPRA, disease-free survival rates
3 were similar for patients who had TME at restaging
4 versus those who had TME at regrowth. The
5 operation we perform in this situation is exactly
6 the same we would have offered the patient after
7 total neoadjuvant therapy completion, and data and
8 experience supports that their long-term clinical
9 outcomes, including disease-free survival, would
10 not be compromised. Our MSK experience and other
11 series support these findings.

12 I'll turn now the presentation back to
13 Dr. Cercek to describe the design and interim
14 results from our MSK study.

15 **Applicant Presentation - Andrea Cercek**

16 DR. CERCEK: Thanks, Dr. Smith.

17 The hypothesis of our study at MSK is that
18 we could use neoadjuvant dostarlimab to either
19 replace chemotherapy, or replace chemotherapy and
20 radiation, or to replace all three components of
21 the current standard of care -- chemotherapy,
22 radiation, and surgery -- and I'll start with the

1 design.

2 This is an ongoing open-label, single-arm,
3 prospective phase 2 study of dostarlimab in
4 patients with treatment-naïve locally advanced
5 dMMR/MSI-high rectal cancer. Our initial target
6 enrollment was 30 patients. Eligible patients with
7 stage II or III disease received 500 milligrams of
8 dostarlimab every 3 weeks for 6 months. Patients
9 have 2 assessments during dostarlimab treatment,
10 and after 6 months of treatment, they are evaluated
11 for response with imaging and endoscopy.

12 Patients who achieve a clinical complete
13 response at that time have the opportunity to
14 proceed to non-operative management with active
15 surveillance. Sustaining their clinical complete
16 response for 12 months means they have achieved a
17 cCR12. Patients who do not achieve a clinical
18 complete response after 6 months of dostarlimab go
19 on to receive standard-of-care chemoradiation
20 followed by another assessment of tumor response.
21 The patients who at that time achieve a clinical
22 complete response have the opportunity to proceed

1 with non-operative management, however, if there's
2 residual disease at that time, then they receive
3 standard-of-care surgery.

4 Our study is using two co-primary endpoints.
5 The first is ORR, defined as complete response,
6 near complete response, or partial response; and
7 the second is cCR12, defined as sustained clinical
8 complete response for 12 months after completion of
9 dostarlimab, which is evaluated at 18 months since
10 the start of treatment and determined by a
11 multidisciplinary team or a pathologic complete
12 response in patients who require surgery.

13 Patients obtaining a clinical complete
14 response would continue with non-operative
15 management that includes intense monitoring to
16 confirm continued cCR at each evaluation. To that
17 end, we're performing assessments every 4 months
18 for 2 years, and then every 6 months in years 3
19 through 5, which is more frequent than
20 standard-of-care practice. The assessments include
21 imaging, endoscopic exams, biopsies, as well as
22 blood tests; and I'll note here that are

1 non-operative management approach is similar to the
2 surveillance used in the prospective OPRA trial.

3 Here you can see the study demographics at
4 the time of our most recent public presentation at
5 ASCO in June of 2022, where we reported on the
6 first 18 patients in the study. We are enrolling
7 the population that is representative of locally
8 advanced dMMR/MSI-high rectal cancer. I'll note
9 that the majority of tumors were large, bulky
10 tumors; 78 percent of them were T3 and T4;
11 94 percent were node positive, which means that
12 these patients would almost certainly have required
13 all three components of standard-of-care treatment.

14 Now moving on to the results, all patients,
15 100 percent of them, achieved a clinical complete
16 response following 6 months of dostarlimab. No
17 patient required chemoradiation, chemotherapy, or
18 surgery; and thus far, in terms of risk for
19 treatment, all adverse events were grade 1 or 2 and
20 the safety profile is in line with other checkpoint
21 inhibitors.

22 On this slide, I'm presenting the baseline

1 and then serial imaging for just one of our
2 patients who achieved a cCR. This is a young woman
3 who was 30 years old at the time when she presented
4 after having several months of symptoms. At the
5 very top-left picture is their initial endoscopic
6 exam. You can clearly see a large nearly
7 obstructing tumor. The tumor is visible on the MRI
8 as depicted by the red arrow, and this was graded
9 as a T3 node-positive tumor.

10 She had her first endoscopic evaluation at
11 6 weeks, and this is after just 2 doses of
12 dostarlimab. You can clearly see that the tumor
13 has decreased significantly. This was assessed as
14 a partial response. There's still some residual
15 disease, but her symptoms had already improved. At
16 3 months, while the endoscopic exam appeared normal
17 and indicated a cCR, the MRI showed a bit of
18 residual tumor, so she was graded as a near CR, and
19 at 6 months, after completion of all planned
20 dostarlimab therapy, she achieved a cCR by
21 endoscopy and MRI, and moved into the non-operative
22 management phase of the study. She maintained her

1 cCR for 12 months after therapy, achieving a cCR12,
2 which is indicated here as an 18-month follow-up
3 assessment. She now has had 28 months of follow-up
4 and remains disease-free. Importantly, she feels
5 great and has no lingering effects from treatment.

6 Now I'll go on to the full patient
7 population and the long-term follow-up. Here we're
8 showing the updated data from the initial
9 18 patients that were presented in June of 2022 at
10 ASCO, so this was from 8 months ago, now updated.
11 Patients have completed 6 months of dostarlimab
12 treatment, and all patients consecutively have
13 achieved and maintained a clinical complete
14 response, as noted by the yellow dot and green bar.
15 Thus, our complete response rate remains at
16 100 percent.

17 The first 10 patients have achieved
18 18 months of follow-up post dostarlimab and remain
19 in clinical CR, achieving a cCR12; 4 patients have
20 reached 30 months of follow-up, achieving a cCR24;
21 and further, no patient has experienced disease
22 progression or a recurrence, with a median

1 follow-up of 18.3 months.

2 Since the presentation in June, we've
3 enrolled a total of 30 patients. To date, we
4 continue to see enduring responses in all treated
5 patients, and every patient who completed 6 months
6 of dostarlimab has achieved a clinical complete
7 response. We anticipate presenting updated data,
8 including long-term follow-up in the second quarter
9 of 2023.

10 Our study at MSK is showing that
11 dMMR/MSI-high locally advanced rectal cancer is
12 highly sensitive to neoadjuvant monotherapy with
13 dostarlimab, and while the short-term benefits
14 appear significant, we need long-term data with
15 additional patients to demonstrate the durability
16 of results and to better understand our ability to
17 successfully retreat in the event that the cancer
18 reappears. This underscores the importance of the
19 proposed GSK study. A positive study will confirm
20 the unprecedented efficacy we have seen with
21 dostarlimab; it will allow us to eliminate tumors
22 as demonstrated by a cCR; and it will also collect

1 data to confirm that cCR12 predicts for long-term
2 benefit. And with that, I'll turn the presentation
3 over to Dr. Diaz-Padilla.

4 **Applicant Presentation - Ivan Diaz-Padilla**

5 DR. DIAZ-PADILLA: Thank you, Dr. Cercek.

6 I will now discuss the design of
7 Study 219369, which has been designed to confirm
8 the results of the MSK study in a larger global
9 population and demonstrate reproducibility.
10 Importantly, this case study design reflects input
11 for more than 30 global key opinion leaders who
12 have specialized in rectal cancer. Treatment-naïve
13 patients with dMMR/MSI-high locally advanced rectal
14 cancer would receive dostarlimab 500 milligrams
15 every 3 weeks for 9 cycles. At that time, patients
16 will undergo post-intervention assessment based on
17 endoscopy, rectal, MRI, and CT scan of the chest,
18 abdomen, and pelvis.

19 Patients meeting the criteria for complete
20 clinical response will begin non-operative
21 management along with rigorous monitoring and
22 assessment that will be more extensive than

1 surveillance for patients who undergo surgery.
2 Evaluations include MRIs, CT scans, and endoscopies
3 every 4 months for the first 2 years, and then
4 twice a year through year 5. In the event of
5 residual disease or recurrence, patients will be
6 managed with local standard of care.

7 To address the appropriateness of a
8 single-arm study, it is critical to keep in mind
9 the imbalance in both the frequency and nature of
10 toxicities between dostarlimab and the standard of
11 care. With the known treatment associated
12 morbidities of radiation and surgery, we would
13 anticipate high rates of drop outs in a control
14 arm.

15 Second, the efficacy of dostarlimab in these
16 dMMR populations is well known, with a 100 percent
17 clinical complete response rate from the
18 Cercek [indiscernible] study. As such, patients
19 and physicians may be reluctant to participate in a
20 study where patients could be randomized to
21 standard of care. And lastly, I will also note
22 that we are only enrolling patients with dMMR/MSI-

1 high rectal cancer, a rare tumor with a limited
2 number of histologically confirmed patients.

3 We plan to recruit patients who are
4 representative of the global patient population.
5 Our enrollment criteria will mirror those of the
6 MSK study. We anticipate broad global
7 participation of more than 45 sites with
8 multidisciplinary teams that will adapt a
9 regression schema for evaluating tumors similar to
10 the OPRA trial. Centers will be in the U.S.,
11 Europe, and the rest of the world.

12 Here are the study's prespecified primary
13 and select secondary endpoints. The primary
14 endpoint of cCR12 is defined by the proportion of
15 patients who maintain their clinical complete
16 response for 12 months after 6 months of
17 dostarlimab. This is assessed at the 18th-month
18 time point of the study. Secondary endpoints
19 include event-free survival at 3 years and also
20 cCR36, which is assessed at the 42nd-month
21 time point in the study. Additionally, we will
22 assess overall survival and assess specific

1 survival at 5 years.

2 To conclude, the GSK study is designed to
3 evaluate a curative potential of dostarlimab
4 monotherapy for this disease. If the MSK results
5 are confirmed, this therapy could change the
6 treatment paradigm with an approach that would both
7 improve cure rate and avoid the debilitating
8 morbidities of the current standard of care.

9 Because of this ambitious effort, we have
10 designed our phase 2 study with rigor supported by
11 data and with thorough planning and preparation.
12 We solicited and integrated feedback from global
13 experts and collaborated with academic institutions
14 like MSK, as well as with patient advocacy groups
15 and regulators. We look forward to generating
16 additional data in this larger patient population
17 to establish the efficacy, safety, and tolerability
18 of dostarlimab as neoadjuvant treatment for
19 patients with this rare form of rectal cancer.

20 Now, Dr. Abdullah will discuss our
21 commitment to accelerated approval in this
22 indication.

1 **Applicant Presentation - Hesham Abdullah**

2 DR. ABDULLAH: Thank you, Dr. Diaz-Padilla.

3 I'll begin by emphasizing our team's strong
4 interest in collaborating with all stakeholders as
5 we seek to potentially change the treatment
6 paradigm in this indication. The GSK phase 2
7 study, together with the results from the Memorial
8 Sloan Kettering trial, are designed to support
9 accelerated approval for patients with locally
10 advanced dMMR/MSI-high rectal cancer. This is
11 based on the primary endpoints of a sustained
12 clinical complete response, cCR12, which is
13 reasonably likely to predict for a survival
14 benefit.

15 At the time of our submission, we will have
16 data from our GSK sponsored study, plus longer-term
17 outcomes from the Memorial Sloan Kettering trial,
18 giving us information on the benefit-risk in at
19 least 130 patients. The goal is to provide a
20 potentially curative therapy and survivorship that
21 spares patients the devastating long-term effects
22 of surgery, chemotherapy, and radiation. The plan

1 is to follow an accelerated approval with a
2 complete data conversion package that includes a
3 supportive phase 3 trial in another stage II and
4 III dMMR/MSI-high population. The submission would
5 also include longer follow-up from both the MSK
6 study and GSK's pivotal trial, including available
7 survival data. We are in discussions with FDA now
8 regarding the separate, large, randomized trial in
9 patients with dMMR/MSI-high perioperative colon
10 cancer.

11 Let me speak for a moment about the role of
12 the study in supporting our phase 2 rectal cancer
13 trial. First, rectal and colon cancer are highly
14 similar diseases in terms of their symptoms and
15 biology. Second, both studies will only enroll a
16 biomarker-selected population of patients whose
17 tumors are dMMR/MSI-high. Third, tumor tissue from
18 both colon and rectal cancer that are dMMR/MSI-high
19 are known to be highly responsive to anti-PD-1
20 therapies, with durable responses observed across
21 multiple tumors.

22 Lastly, since a randomized study is not

1 possible in the rectal setting, undertaking one in
2 locally advanced dMMR/MSI-high colon cancer is the
3 closest setting where the benefit of dostarlimab in
4 dMMR rectal cancer can be assessed in a controlled
5 trial.

6 Here's a preliminary schematic for the
7 proposed phase 3 colon cancer study. This
8 randomized, open-label trial will investigate
9 whether perioperative use of dostarlimab could
10 replace standard-of-care adjuvant therapy.
11 Importantly, the epidemiology of colon cancer, with
12 a higher incidence than rectal cancer, supports the
13 randomized design. That also enables a formal
14 comparison of dostarlimab monotherapy against
15 standard of care in a dMMR patient population, with
16 appropriate primary and secondary endpoints
17 assessed.

18 To conclude, our phase 2 study is designed
19 with an objective evidence-based approach to
20 appropriately evaluate the benefit-risk of
21 dostarlimab for patients with locally advanced
22 dMMR/MSI-high rectal cancer. The study population

1 has been selected based on the known high
2 sensitivity of early-stage rectal cancers to
3 immunotherapy. The preliminary evidence from the
4 MSK study supports this. The study design provides
5 non-operative management for patients who achieve a
6 clinical complete response. As Dr. Smith reviewed,
7 this can be safely undertaken when combined with
8 close monitoring, and the GSK study does this for
9 5 years.

10 We've established cCR12 as the primary
11 endpoint, and initial assessment of a clinical
12 complete response itself is predictive of favorable
13 long-term outcome. The additional requirement of
14 remaining in cCR 12 consecutive months surely meets
15 the threshold of being reasonably likely to predict
16 clinical benefit. Finally, longer term outcomes
17 from the phase 2 trials and our proposed phase 3
18 study in colon cancer will support these results.

19 Thank you. Let me now ask Dr. Vlahovic to
20 conclude our presentation.

21 **Applicant Presentation - Gordana Vlahovic**

22 DR. VLAHOVIC: Thank you.

1 I am Gordana Vlahovic, and I am the
2 dostarlimab development lead for GSK. The FDA has
3 posed several discussion topics. We have worked to
4 address each in our presentation, and I have
5 summarized them here.

6 Importantly, Study 219369, together with MSK
7 study, will allow us to adequately assess the
8 benefit-risk of dostarlimab in at least
9 130 patients. Our application will include long-
10 term safety, response, and survival data based on
11 several clinical endpoints, including cCR12, cCR36,
12 EFS 3, and overall survival.

13 Thank you. I can take questions now or
14 later.

15 DR. GARCIA: Thank you.

16 If there are no further presentations from
17 GlaxoSmithKline, we're going to move forward and
18 proceed with our guest speaker presentation with
19 Dr. Kimmie Ng.

20 Dr. Ng?

21 **Guest Speaker Presentation - Kimmie Ng**

22 DR. NG: Hi, everyone. Thank you so much to

1 the FDA for inviting me to give an objective
2 overview of the published literature in regards to
3 the strengths and limitations about the current
4 management of stage II to III rectal cancer. This
5 is the outline of my talk. Because not everybody
6 in the room is a GI oncologist, I will give some
7 basic background on rectal cancer, review the data
8 underlying current treatment paradigms, and then
9 talk about some of the existing data supporting a
10 non-operative management approach, and then end
11 with future research directions.

12 Colorectal cancer is a huge problem in the
13 United States, as well as globally. Currently, it
14 is the third leading cause of cancer in both men
15 and women, and approximately 30 percent of
16 colorectal cancers are rectal cancer, for a total
17 of about 46,000 new cases anticipated to occur in
18 2023. Of this population, MSI high accounts for a
19 very small proportion of all of these rectal
20 cancers.

21 According to the available literature,
22 approximately 2 to 3 percent of all rectal cancers

1 are MSI high, and it is thought that almost all are
2 due to Lynch syndrome. I want to point out that
3 young onset rectal cancer has been increasing
4 across the last few decades, and MSI high does seem
5 to be enriched in these young patients. Colon
6 rectal cancer is also a leading cause of cancer-
7 related deaths in the United States, and if you
8 combine both men and women together, it is actually
9 the second leading cause of cancer-related deaths,
10 trailing only lung cancer.

11 Currently, the staging and workup of
12 colorectal cancer is according to the AJCC TNM
13 stage classification, where different from other
14 tumors, the key stage of the primary tumor is
15 determined not by tumor size, but rather by depth
16 of invasion through the wall of the colon or
17 rectum. The N status is determined by the number
18 of regional lymph nodes involved, and M status by
19 the presence or absence of distant metastases.

20 Because of the complicated staging of rectal
21 cancer, an MRI of the pelvis is critical for
22 accurately staging patients to determine treatment

1 options. An MRI is the best modality to determine
2 both the T and N stage, as well as assess the
3 circumferential resection margin status, which is a
4 predictor of local recurrence. Endorectal
5 ultrasound can also be done if an MRI is
6 contraindicated. CT scans of the chest and abdomen
7 are required to determine the M stage. A CEA tumor
8 marker level from the blood is also required for
9 prognostication, and every patient diagnosed with
10 colorectal cancer should undergo mismatch repair
11 testing in order to determine the appropriate
12 treatment option.

13 Very critically, especially for stage II to
14 III rectal cancer, a multidisciplinary team
15 evaluation is absolutely important given the
16 complexity of the different treatment paradigms in
17 this disease. Key members of the team include
18 medical oncology; radiation oncology; colorectal
19 surgery; radiology; and many others that I do not
20 have room to list here. This talk will focus,
21 again, on the management of stage II and III rectal
22 cancer, which is defined by a T stage of T3 or 4 or

1 by node-positive status.

2 In terms of the current treatment paradigms,
3 this is the latest NCCN guidelines for the
4 treatment of stage II and III rectal cancer. You
5 can see that two different treatment approaches are
6 endorsed, with the preferred strategy being a total
7 neoadjuvant therapy or TNT approach, where all
8 treatments, including chemotherapy and radiation,
9 are given up front prior to surgery, and two
10 different sequencing algorithms are recommended
11 here. The historical standard of care for many
12 years has previously been long-course
13 chemoradiation or short-course radiation, followed
14 by surgery, followed by post-operative adjuvant
15 chemotherapy.

16 I'll now briefly go into some of the data
17 supporting these approaches, starting with the
18 historical standard of care, which was established
19 by the German Rectal Cancer Study Group trial
20 published in the New England Journal of Medicine
21 back in 2004. This study compared a preoperative
22 chemoradiotherapy approach to the previous standard

1 of a post-operative chemoradiotherapy approach. In
2 terms of the primary endpoint of 5-year overall
3 survival, you can see there was no significant
4 difference in the two treatment approaches, with a
5 5-year OS of 76 percent.

6 Disease-free survival at 5 years was also
7 not different, at about 68 percent, but
8 interestingly, 5-year local recurrence was
9 significantly lower with the preoperative
10 chemoradiotherapy approach compared to post-op
11 chemoradiation, and this led to this paradigm being
12 adopted as the standard of care. Five-year distant
13 recurrence rates were not different between the two
14 groups either at 36 percent.

15 Ten-year follow-up of this trial was
16 published in the JCO in 2012, and again, in terms
17 of the endpoint of overall survival, no significant
18 difference between the two treatment arms was seen,
19 nor in disease-free survival. Ten-year distant
20 recurrence rates estimated were at 30 percent, and
21 it is notable that 8 percent of these distant
22 recurrences did occur after 5 years. In terms of

1 local recurrence, the benefit in favor of a
2 preoperative chemoradiotherapy approach was
3 maintained after longer follow-up with
4 significantly lower rates at about 7 percent in
5 favor of the preoperative approach. Also of note
6 here, 12 percent of local recurrences did occur
7 late after 5 years, so this is a disease that is
8 characterized by not infrequent occurrences of late
9 relapse.

10 The interest in total neoadjuvant therapy
11 emerged for several potential advantages, including
12 improved tolerance and completion of the prescribed
13 chemotherapy when given up front prior to surgery.
14 TNT does result in higher rates of down staging,
15 which may facilitate R0 resections, and there does
16 seem to be higher rates of pathologic complete
17 response with the TNT approach, which enables the
18 potential for non-operative management. Patients
19 treated with TNT have lesser time with a diverting
20 ileostomy, which is quite significant for these
21 patients, and theoretically, earlier administration
22 of systemic chemotherapy may better address

1 micrometastases and improve outcomes.

2 For this reason, several randomized-
3 controlled trials, phase 2 and 3, have been
4 conducted comparing a TNT approach to the
5 historical standard of care. I've selected some of
6 the larger ones here with the Spanish study being
7 the only phase 2 study, and the rest being phase 3.
8 You can see that the sample sizes are different
9 across the different trials. The eligibility is
10 also different across the different studies, with
11 RAPIDO and the POLISH study having high-risk
12 populations.

13 The TNT approach being investigated was also
14 quite variable among the different studies, with
15 variations in the type of chemotherapy
16 administered, with an intense regimen of FOLFIRONOX
17 tested in the PRODIGE trial, and then long-course
18 chemoradiation versus short-course chemoradiation
19 and different sequences of therapy. The
20 administration of adjuvant chemotherapy was also
21 either mandated or not mandated, and administration
22 of this was variable across the studies as well.

1 This heterogeneity may have led to the conflicting
2 data on some of the oncologic outcomes.

3 In terms of 3-year disease-free survival,
4 you can see that the majority of studies did not
5 show a significant difference in favor of TNT in
6 regards to 3-year disease-free survival. The
7 RAPIDO study and the PRODIGE study did show
8 significantly better disease-free survival in favor
9 of TNT, however, most studies did not show
10 corresponding benefit in 3-year overall survival.
11 The POLISH study, which had a benefit initially at
12 3 years, did not have a benefit after 8 years of
13 follow-up.

14 What does seem to be consistent is that
15 pathologic complete response rates are higher with
16 the TNT approach compared to standard of care.
17 There does not seem to be any significant
18 difference in 3-year local regional relapse or
19 3-year distant metastasis in most of the studies,
20 although the RAPIDO trial just seemed to show a
21 benefit of lesser distant metastases with that
22 regimen, though this was a higher risk patient

1 population in that study.

2 So what can we conclude from all of these
3 heterogeneous studies? The benefits of TNT do seem
4 to be higher pathologic complete response rates,
5 better compliance with the prescribed chemotherapy,
6 and improve disease-free survival seen in some
7 studies. There are some disadvantages, though,
8 including that earlier stage patients may be
9 overtreated with the TNT approach, where some of
10 them may not actually need chemotherapy. There
11 does not seem to be a difference in
12 sphincter-sparing sparing surgery rates or
13 ileostomy rates, and there is no overall survival
14 benefit.

15 Therefore, there is insufficient data to
16 conclude that a TNT approach is superior to
17 standard of care, and this is consistent with the
18 NCCN guidelines that continue to recommend both
19 algorithms. Again, there's no significant
20 difference in locoregional failure and inconclusive
21 data on 3-year disease-free survival; we don't yet
22 have long-term outcomes in regards to DFS or

1 overall survival; and the trials are quite
2 heterogeneous as discussed, making it difficult to
3 make definitive conclusions. Importantly, there
4 are no known biomarkers to date to better select
5 who would benefit most from a TNT approach.

6 So despite the fairly good outcomes for
7 patients with multimodality therapy, unfortunately
8 treatments for rectal cancer, according to these
9 paradigms, is extremely toxic, and this has been
10 reviewed already. The several components of the
11 treatment algorithm result in significant rates of
12 bowel dysfunction, urinary dysfunction, sexual
13 dysfunction, infertility from pelvic radiation, and
14 permanent ostomies, which then can result in body
15 image issues and depression; and these can all
16 negatively impact quality of life.

17 Consequently, there is interest in
18 de-escalating therapy while trying to maintain
19 efficacy for patients with stage II and III rectal
20 cancer. One option is to try to eliminate
21 radiation from the treatment algorithm. The FOWARC
22 trial, published in JCO in 2019, compared the

1 standard historical approach of long-course
2 chemoradiation, followed by surgery with adjuvant
3 chemotherapy with two different types of
4 chemotherapy regimens, and compared that to a
5 chemotherapy-only approach, where radiation could
6 be administered, but at the discretion of the
7 treating investigator. In regards to the primary
8 endpoint of 3-year disease-free survival, there was
9 no significant difference between 3 arms, nor in
10 the 3-year locoregional relapse rate, or overall
11 survival, which is certainly intriguing in terms of
12 whether or not radiation can be eliminated for
13 selected patients.

14 More data to inform this very important
15 question will hopefully be forthcoming in the
16 PROSPECT trial for which we hope to have data later
17 this year. This is a completed phase 2/3 trial of
18 selective preoperative radiation for upper rectal
19 tumors that are not T4 or N2. These patients were
20 randomized to the historical standard of care
21 compared to a chemotherapy-only approach, followed
22 by selective radiation for those who have

1 suboptimal response. All patients then go to
2 surgery, and post-operative treatment is at the
3 discretion of the treating investigator.

4 Then finally, another approach to
5 de-escalation is to try to remove surgery from the
6 treatment algorithm, and interest in this came from
7 initial retrospective studies from Dr. Habr-Gama
8 and resulted in this publication of long-term
9 outcomes from the large international multicenter
10 observational registry study called the
11 International Watch and Wait Database. Of note,
12 this was a heterogeneous study population due to
13 being a registry study, where there were many
14 earlier stage patients included in this study.

15 There was non-uniform staging and response
16 assessment methods, and variable treatment
17 strategies were utilized, including sometimes only
18 with radiation alone and oftentimes not with both
19 radiation and chemotherapy. However, the results
20 are shown here and do seem promising for a
21 non-operative management approach. 880 patients
22 who were able to avoid TME and had a complete

1 clinical response were included in the trial, and
2 after a median follow-up time of about 3 years, the
3 2-year tumor regrowth rate was 25 percent.
4 Five-year disease-free survival, distant metastasis
5 rate, and overall survival all seemed very
6 favorable in patients managed with the
7 watch-and-wait approach.

8 To characterize further the time course of
9 the tumor regrowth, 64 percent were diagnosed
10 within the first year, with the vast majority
11 occurring by 2 years after completion of treatment;
12 18 percent of the people who also had tumor
13 regrowth had distant metastases as well. The vast
14 majority were able to receive TME, as well as some
15 receiving local excision, and most of these tumor
16 regrowths were able to be successfully salvaged.
17 In terms of distant metastases, only 11 percent
18 occurred within the first year and only half within
19 the first 2 years. Three-quarters were diagnosed
20 by 3 years after completion of treatment.

21 Because of this initial promising data,
22 multiple randomized trials are now going on,

1 testing non-operative management compared to
2 standard of care, and these trials are selected
3 once here for stage II or III rectal cancer. You
4 can see that, again, the treatment schedules being
5 tested are all quite variable, with variations in
6 whether it's long-course chemoradiation or
7 short-course radiation being tested, as well as the
8 sequencing of the various therapies. The response
9 assessment time point is also variable, ranging
10 from 12 weeks after treatment start, up to 38 weeks
11 after treatment start, and the primary endpoints
12 upon which these trials were designed were also
13 different across the studies.

14 This is a graphical representation of the
15 variability in the endpoints and the time of
16 response assessment. You can see the different
17 treatment regimens being tested in these trials,
18 the variability not only from time of treatment
19 start to response assessment but also from
20 completion of radiation to response assessment,
21 which we think may potentially impact outcomes as
22 well; and the primary endpoints were also different

1 as well as when they are being assessed.

2 Of these trials, only the OPRA trial has
3 been completed and published, and I will spend a
4 little bit of time describing this important study.
5 This was a phase 2 randomized, multicenter trial
6 that tested two different TNT approaches:
7 induction chemotherapy, followed by long-course
8 chemoradiation, and a non-operative management
9 approach for those who achieved complete clinical
10 response, versus a consolidation chemotherapy
11 approach, thus started with long-course
12 chemoradiation, then chemotherapy, and then, again,
13 non-operative management if a complete clinical
14 response was achieved.

15 The primary endpoint was 3-year disease-free
16 survival compared to a historical control from the
17 TNT studies just reviewed of 75 percent. These are
18 the data out of 324 patients. The median follow-up
19 time was 3 years, and 3-year disease-free survival
20 was 76 percent. Although this is technically a
21 negative study because it wasn't superior to the
22 historical control, it is reassuring that a

1 non-operative management approach can result in
2 similar disease-free survival to some of the prior
3 TNT studies that included surgery.

4 Local recurrence-free survival and distant
5 metastasis-free survival were also quite favorable
6 and, again, pretty consistent with prior TNT
7 studies. The time point of response assessment, as
8 mentioned, was 34 to 38 weeks after treatment
9 start, and clinical complete response rates were
10 also high at about 75 percent. Tumor regrowth
11 happened significantly more in the induction
12 chemotherapy arm compared to the consolidation
13 chemotherapy arm, but half the patients were able
14 to achieve 3-year organ preservation in the
15 consolidation chemotherapy arm.

16 This trial is important because it does
17 provide the first benchmark data from a prospective
18 randomized study on clinical complete response
19 rates and organ preservation rates with a TNT
20 approach. The other strength of the study is that
21 it is the first to mandate uniform assessment of
22 response at a specific time point and according to

1 specific criteria for definition of a complete
2 clinical response. This is outlined here, and
3 follows the MSK regression schema used in other
4 studies.

5 The surveillance of patients undergoing
6 non-operative management was also very rigorous and
7 uniform across patients and involves frequent
8 surveillance, especially within the first initial
9 years after completing treatment. This is
10 important due to the time course of tumor regrowth
11 and recurrence rates seen in these patients,
12 managed by watch-and-wait approach.

13 The majority of tumor regrowth and local
14 recurrences do occur within the first 2 to 3 years
15 of completing TNT, as you can see from these
16 curves. Local recurrence-free survival does seem
17 to plateau out, again, at about the 2-to-3-year
18 mark. Distant metastases-free survival seems to
19 take a little bit longer to plateau out, at about
20 the 3-or-4-year mark.

21 Another important question is, what happens
22 to patients who are managed for a complete clinical

1 response by watch and wait, who then have a tumor
2 regrowth compared to those who undergo immediate
3 surgery after restaging with an incomplete clinical
4 response? You can see here from these curves that
5 there is no statistically significant difference in
6 disease-free survival between these two
7 populations; numerically, though, the disease-free
8 survival does seem to be a little bit lower as time
9 goes on for the watch-and-wait patients.

10 In terms of the types of recurrences that
11 happen after TME for immediate restaging, or TME
12 after a period of clinical complete response
13 followed by regrowth, there again is no
14 statistically significant difference in the types
15 of recurrences seen in these two patient.

16 Populations. Sample sizes are small, though; and
17 if you note the numbers here, they do seem to be
18 numerically higher for local and distant
19 recurrences among patients treated with a
20 watch-and-wait approach but, again, sample sizes
21 are extremely small.

22 In terms of the type of surgery received in

1 these two populations, slightly more patients who
2 were treated with the watch-and-wait approach and
3 then had tumor regrowth underwent APR with
4 permanent colostomy compared to those who underwent
5 surgery immediately after restaging.

6 The important questions of whether outcomes
7 of watch and wait are equal to patients who do
8 undergo immediate surgery with pathologic complete
9 response unfortunately have very little data to
10 provide any answers, but from this meta-analysis of
11 predominantly retrospective studies, it does seem
12 encouraging that those managed with the
13 watch-and-wait approach do not seem to have
14 significant differences in non-regrowth recurrence
15 rates or cancer-specific mortality.

16 There did seem to be in this study improved
17 disease-free survival for those undergoing surgery
18 as opposed to managed with the watch-and-wait
19 approach, though; but overall survival was not
20 significantly different between these two
21 populations. Ideally, what we would like to see
22 with long-term data are patients with a sustained

1 clinical complete response having equivalent
2 overall survival to those who undergo surgery with
3 a pathologic complete response.

4 The other relevant question is what happens
5 to patients who do have clinical complete response
6 but who undergo surgery anyway versus are managed
7 by a non-operative management approach? And again,
8 from this meta-analysis of mainly retrospective
9 studies, there does not seem to be any significant
10 differences in outcomes between these two patient
11 populations, but certainly prospective, more
12 rigorous data are needed to more definitively
13 answer this question.

14 So that brings us to the dostarlimab trial
15 for which you have already heard a lot about. The
16 primary endpoint for this study is MSI-high
17 stage II to III rectal cancer patients, with
18 overall response rate of 6 months per the MSK
19 regression criteria, or a past-year or clinical
20 complete response at 12 months.

21 In the initial set of patients, there was a
22 really promising 100 percent clinical complete

1 response rate with median follow-up of 6.8 months.
2 As mentioned already, though, there are some
3 limitations to this very promising data, including
4 currently still a small sample size and short-term
5 follow-up. This is a single institution study with
6 extensive expertise in non-operative management,
7 and there is no data on other clinically relevant
8 endpoints or long-term data, which GSK is planning
9 to address with their package.

10 The importance of the endpoints cannot be
11 overstated, and an international consensus group
12 was convened to try to standardize these endpoints
13 and the definition of these endpoints across trials
14 that are testing non-operative management. They
15 recommend that for phase 1 and 2 trials of
16 treatment intensification that clinical complete
17 response be used as the primary endpoint. For
18 phase 2 and 3 trials, 3-year organ preservation
19 rate was recommended as the preferred endpoint, and
20 they note that, critically, secondary outcomes such
21 as anal/rectal function, toxicity, and quality of
22 life absolutely need to be assessed in these

1 trials.

2 They also have some recommendations on the
3 optimal response assessment time point because,
4 again, that could influence complete response rates
5 as well as ultimate outcomes; also, a strict
6 schedule of surveillance for patients undergoing
7 non-operative management approach is also provided
8 in these consensus recommendations as well.

9 To summarize the data on non-operative
10 management, I quoted this footnote that is now
11 included in the NCCN guidelines for rectal cancer
12 because I think it does give a fair summary of
13 where we are to date with the existing data. The
14 NCCN now recommends that for patients who do
15 achieve a complete clinical response with no
16 evidence of residual disease, as determined by
17 digital rectal exam, MRI, and endoscopic
18 evaluation, that a watch-and-wait approach can be
19 considered in centers with multidisciplinary teams,
20 but we do not yet know what the risk of local and
21 distant failure may be, relative to those patients
22 undergoing standard treatment algorithms.

1 Surveillance needs to be rigorous and frequent, and
2 include digital rectal exam, protoscopy, and then
3 imaging as well, especially in the initial first
4 few years.

5 So where do we go from here? The upcoming
6 JANUS phase 2 rectal cancer study in the U.S., led
7 by Dr. Joshua Smith, just recently activated and
8 will provide further important data on clinical
9 complete response, as well as a non-operative
10 management approach with TNT treatment. This trial
11 will test long-course chemoradiation first,
12 followed by two different chemotherapy regimens, to
13 see if an intensified chemotherapy of FOLFIRONOX
14 will improve response rates and lead to more
15 non-operative management. The primary endpoint of
16 this trial is complete clinical response.

17 The other important data that we'll be able
18 to get from the JANUS study is data on whether or
19 not this approach can be replicated across various
20 cancer care settings. This is run through the
21 intergroup and cooperative groups of the NCI, where
22 a vast majority of the enrollment centers are in

1 the community setting. So again, the feasibility
2 of this approach will hopefully be able to be
3 provided to us from this study.

4 Other remaining questions include what are
5 the long-term disease-free and overall survival
6 outcomes? Does non-operative management actually
7 result in improved functional outcomes and quality
8 of life, given that radiation is still included in
9 these TNT approaches? Are there biomarkers,
10 importantly, such as circulating tumor DNA or
11 radiomics that can better predict who would benefit
12 from a non-operative management approach, and what
13 is the optimal surrogate endpoint for these trials?
14 As alluded to already, can this approach be
15 replicated and feasible in a community setting?

16 We do know from European data that
17 centralized multidisciplinary care and centers of
18 excellence are associated with improved outcomes in
19 patients with rectal cancer. Those who are treated
20 by a colorectal trained, high volume surgeon do
21 better with decreased perioperative morbidity,
22 decreased stoma rates, and improved disease-free

1 and overall survival. Back in 2011, a consortium
2 called OSTRiCh was convened to quantify the quality
3 and uniformity of rectal cancer care in the U.S. at
4 the time, and very concerningly, they saw
5 significant variation in the use of neoadjuvant
6 treatment, and noted that a vast majority of
7 patients were not being treated in high volume
8 centers.

9 So there is now a national accreditation
10 program for rectal cancer to hopefully try and more
11 uniformly provide quality care to all patients with
12 rectal cancer. In this one study that evaluated
13 over a thousand hospitals to see their readiness
14 for meeting these national accreditation standards,
15 which are quite rigorous, unfortunately, only about
16 3 percent of these hospitals actually met these
17 thresholds for five of the selected criteria. They
18 also very concerningly noted disparities in the
19 types of centers that were ready for accreditation,
20 being enriched in academic centers, high volume
21 centers, as well as those that serve mainly highly
22 resourced, high socioeconomic status populations.

1 There are no outcome data yet, but hopefully
2 that will be forthcoming soon, and currently only
3 75 programs are accredited. But there is
4 significant concern about making sure that this
5 accreditation program does not widen disparities in
6 access to care and that all patients with rectal
7 cancer have equal access to high-quality care.
8 Thank you very much for your time.

9 DR. GARCIA: Thank you, Dr. Ng.

10 We will now proceed with the FDA
11 presentation from Dr. Sandra Casak.

12 Dr. Casak?

13 **FDA Presentation - Sandra Casak**

14 DR. CASAK: My name is Sandra Casak. I'm a
15 pediatric oncologist and the acting team leader for
16 the gastrointestinal malignancies team in the
17 Division of Oncology 3. These are the FDA staff
18 involved in the preparation for this meeting.

19 During my presentation, I will discuss the
20 following: background on locally advanced rectal
21 cancer and treatment options; dostarlimab's
22 development in patients with dMMR/MSI-high locally

1 advanced rectal cancer; and today's topics for
2 discussion. I will now summarize some
3 epidemiological facts about rectal cancer and
4 discuss the current treatment options for locally
5 advanced rectal cancer.

6 Approximately 46,000 new cases of rectal
7 cancer are expected to be diagnosed in 2023 in the
8 U.S. Overall, up to 20 percent of patients with
9 colorectal cancer have dMMR/MSI-high tumors, but
10 these tend to be more frequent in early stages and
11 in right-sided tumors. There are conflicting data
12 on the incidence of dMMR/MSI-high rectal cancers,
13 and in the literature for rectal cancers, the
14 reported incidence of dMMR/MSI-high ranges from
15 2.7 to 21 percent.

16 The standard of care for treating locally
17 advanced rectal cancer, irrespective of
18 dMMR/MSI-high studies, consist of multimodality
19 therapy that includes fluoropyrimidine-based
20 chemotherapy, radiation, and surgery. The intent
21 of treatment is curative. As you heard in Dr. Ng's
22 presentation, there are several treatment

1 strategies with different sequencing of each
2 component of therapy, differences in length of
3 treatment, intensity of chemotherapy, and
4 radiotherapy, et cetera.

5 Overall, local recurrence rates range from 5
6 to 20 percent, and between 15 and 30 percent of
7 patients develop distant metastases. The
8 disease-free survival at 3 years in modern trials
9 using TNT ranges from 56 to 76 percent depending on
10 population studied and clinical strategies
11 employed, with survival at 3 years at approximately
12 90 percent.

13 The prognostic and predictive role of
14 dMMR/MSI-high in rectal cancer is not well
15 characterized. The slide shows a retrospective
16 analysis of patients with deficient and proficient
17 mismatch repair rectal cancer treated at Memorial
18 Sloan Kettering who were matched based on baseline
19 tumor and demographic characteristics.

20 Patients were treated with neoadjuvant
21 FOLFOX or fluoropyrimidine-based chemoradiation.
22 As shown on the figure on the left, a higher rate

1 of progression was observed in patients with
2 deficient mismatch repair versus the mismatch
3 repair proficient counterparts receiving initial
4 treatment with FOLFOX. As shown on the right, no
5 patient experienced disease progression before
6 surgery, while or after undergoing
7 chemoradiotherapy. The pathologic complete
8 response for patients with deficient mismatch
9 repair or their proficient controls were similar;
10 however, as noted, this is a small retrospective
11 study, and results should be interpreted with
12 caution.

13 In another retrospective analysis of
14 patients treated at MD Anderson Cancer Center,
15 patients with dMMR/MSI-high stage II-III rectal
16 cancer were treated with fluoropyrimidine-based
17 neoadjuvant chemotherapy and radiation therapy. Of
18 the 29 patients who underwent surgery, 28 percent
19 had a pathological complete response. One patient
20 had a clinical complete response and declined
21 surgery. The authors concluded that
22 fluoropyrimidine as a radiosensitizing agent for

1 dMMR/MSI-high rectal cancer seems to be associated
2 with favorable pathologic response.

3 Treatment with chemotherapy, radiotherapy,
4 and surgery can adversely impact the quality of
5 patients survivorship. The rates for long-term
6 treated-related complications are difficult to
7 estimate due to differences across studies on
8 patient populations and treatments used. Following
9 radiotherapy and surgery, bowel dysfunction is
10 common in up to 52 percent of patients having
11 reported to experience low anterior resection
12 syndrome characterized by fecal and flatus
13 incontinence, urgency, and frequency. In addition,
14 up to 79 percent of patients have urinary and
15 sexual dysfunction, and for primary treatment of
16 the tumor, or as a consequence of the complication,
17 some patients require permanent ostomies.
18 Infertility has also been reported as a treatment
19 sequelae.

20 At some institutions, a non-operative
21 approach may be offered to some patients following
22 completion of neoadjuvant chemotherapy and

1 radiation therapy if a complete, or sometimes near
2 complete, clinical response is observed. Patient
3 selection for a non-operative approach is not
4 standardized, and different tumor characteristics
5 have been used to determine eligibility for this
6 approach, including tumor size, presence and
7 absence of lymph nodes, relationship with other
8 anatomic structures, et cetera.

9 There is also marked heterogeneity across
10 studies not only due to differences in study
11 populations, but differences in outcomes studies;
12 the chemoradiation and chemotherapy regimens used;
13 schedules of assessments; imaging protocols;
14 follow-up protocols; et cetera, which limit
15 interpretation of data from these trials.

16 The evidence supporting the non-operative
17 management derives mostly from non-randomized
18 retrospective studies. As such, there is limited
19 evidence from randomized-controlled studies that
20 characterizes the relationship between clinical
21 complete response and long-term outcomes.
22 Available data from small series using variable

1 chemotherapy and radiotherapy regimens demonstrated
2 clinical complete response rates ranging from 10 to
3 78 percent. Of note, in studies exploring
4 non-operative management, patients received local
5 therapy with radiation.

6 The observational registry study of the
7 International Watch and Wait Database included
8 880 patients with locally advanced rectal cancer
9 who underwent non-operative management after an
10 observed clinical complete response. The incidence
11 of local regrowth was 25 percent with 88 percent of
12 local relapses occurring by year 2 following
13 initiation of non-operative management. In this
14 retrospective series, the five-year-old survival
15 rate was 85 percent.

16 In another retrospective series of
17 113 patients treated at Memorial Sloan Kettering
18 with clinical complete response following
19 chemoradiation and chemotherapy, and who were
20 managed following non-operative management
21 approach, the local relapse rate was 19.5 percent;
22 81 percent were able to forego the resection of the

1 rectum and 18 percent required total mesorectal
2 excision, or TME, for management of relapse. The
3 5-year overall survival in this cohort was
4 73 percent.

5 As mentioned before, data from the
6 non-operative management studies are difficult to
7 interpret because among other factors, there is
8 heterogeneity in patient population included in
9 studies and heterogeneity in results based on
10 treatment strategy. The randomized OPRA study is
11 an example.

12 The figure on this slide shows the study
13 design, which compared two different sequencing of
14 treatment strategies, induction chemotherapy
15 followed by chemoradiotherapy versus consolidation
16 chemotherapy after chemoradiotherapy. After
17 restaging, patients that had a clinical complete
18 response, or near complete response, were offered
19 non-operative management.

20 As you can see on the table, of the
21 225 patients in both arms who went into
22 non-operative management, 40 percent in the

1 induction group and 27 in the consolidation group
2 developed tumor regrowth during follow-up compared
3 with 6 percent of patients who underwent surgery
4 after chemo and radiotherapy.

5 Please note the population for which non-
6 operative management was offered those patients
7 with clinical complete response and patients with
8 near complete response, which highlights some of
9 the heterogeneity described before. Also, as
10 Dr. Ng showed, disease-free survival at 3 years was
11 76 percent in both arms, but there is a difference
12 between the rate of local regrowth observed in each
13 treatment strategy, favoring early use of
14 chemoradiation. This highlights the differences in
15 outcomes related to treatment modalities described
16 before.

17 Data for outcomes in patients with
18 dMMR/MSI-high locally advanced rectal cancer who
19 were managed with no local therapy is mostly
20 limited to the Memorial Sloan Kettering study
21 19-288, which has been previously presented today.
22 As presented at ASCO, 14 of the 18 patients

1 involved were evaluable for disease response after
2 completion of dostarlimab treatment. All have
3 clinical complete response; all patients, the
4 response was ongoing. Fourteen of these patients
5 had a sustained response for 12 months or more, and
6 as reported at the ASCO GI Symposium last month,
7 more than 30 patients have already been involved.

8 To summarize, standard of care for locally
9 advanced rectal cancer combines chemotherapy,
10 radiation, and surgery with curative intent.
11 Outcomes are viable, depending on the study
12 population, treatment strategy used, and endpoint
13 definition. As the precise estimates are not
14 available, treatment for locally advanced rectal
15 cancer with standard of care is associated with
16 significant morbidity. Data evaluating the dMMR
17 subset are limited and suggests similar responses
18 to patients with proficient mismatch repair when
19 exposed to radiotherapy.

20 Based on mostly retrospective data,
21 non-operative management of patients with locally
22 advanced rectal cancer with a clinical complete

1 response after neoadjuvant therapy is available in
2 selected patients and institutions; however, there
3 are no standard criteria to identify appropriate
4 candidates for a non-operative management treatment
5 strategy, definition of clinical complete response,
6 outcomes, frequency of monitoring, et cetera.

7 The major risks of a non-operative strategy
8 are the potential risks for tumor distant spread
9 among patients with an apparent complete or near
10 complete response who are initially observed and
11 the risk of excess rates of tumor regrowth that
12 would require more aggressive surgery or that
13 cannot be resected. In addition, there is lack of
14 information on long-term outcomes from randomized
15 trials.

16 I will now summarize the proposed
17 dostarlimab clinical development in patients with
18 dMMR/MSI-high locally advanced rectal cancer. GSK
19 plans to develop dostarlimab as a single agent for
20 the treatment of patients with locally advanced,
21 treatment-naïve, mismatch repair deficient or
22 microsatellite instability-high rectal cancer.

1 This slide summarizes the proposed clinical
2 development program to support a future
3 supplemental BLA for this indication. The package
4 intended for accelerated approval will include data
5 from the upper and middle rows of the table. These
6 are single-arm studies evaluating dostarlimab as a
7 single agent in a combined 130 patients with
8 dMMR/MSI-high stage II-III rectal cancer, with
9 clinical complete response at month 12 or cCR12 as
10 a primary endpoint.

11 Following an accelerated approval, GSK plans
12 to submit the results of analysis of clinical
13 complete response at month 36, or cCR36, and
14 event-free survival at 3 years as secondary
15 endpoints to verify clinical benefits, along with
16 other secondary endpoints, including total
17 mesorectal excision-free survival, disease-specific
18 survival, and overall survival. In addition, shown
19 in the bottom row of the table, data from a
20 randomized-controlled trial in locally advanced
21 dMMR/MSI-high colon cancer patients may be
22 submitted as supportive evidence.

1 As presented by GSK, Study 219369, or
2 Study 2, is intended for registration of
3 dostarlimab for the proposed indication. This is a
4 global, multicenter, single-arm study that will
5 involve approximately 100 patients with previously
6 untreated disease.

7 This study has been previously described by
8 GSK, so I will briefly go over it, but wanted to
9 show that for patients who do not achieve a
10 clinical complete response rate at the time of the
11 first assessment, those patients with near complete
12 response or incomplete response, if the patient and
13 the investigator agree to delay in implementing
14 standard-of-care treatment, a second assessment,
15 including rectal MRI endoscopy and CT scan, will be
16 performed at least 4 weeks and no longer than
17 8 weeks after the prior assessment.

18 If a clinical response is achieved then, the
19 patients may proceed to non-operative management
20 instead of standard of care. If the patient has
21 any response less than a clinical complete
22 response, or if they do not undergo the second

1 assessment 4 to 8 weeks after the end of
2 dostarlimab treatment, they will proceed to
3 standard-of-care therapy.

4 Non-operative management will consist of
5 watchful waiting with regular assessment for
6 recurrent disease as follows. In years 1 and 2,
7 patients will be assessed with endoscopy rectal MRI
8 and CT every 4 months. In years 3 to 5, this
9 assessment will be conducted every 6 months. If at
10 any time a patient develops evidence of recurrent
11 disease during the non-operative management period,
12 they will be evaluated for salvage therapy by the
13 local care team and will transition to standard of
14 care.

15 The primary endpoint is clinical complete
16 response at 12 months. cCR12 is defined as no
17 evidence of residual disease by endoscopy,
18 rectal-specific MRI, and no evidence of metastatic
19 disease 12 months after the first post-treatment
20 clinical complete response assessment by
21 Independent Central Review. Key secondary
22 endpoints are cCR36 as assessed by Independent

1 Central Review, defined as maintenance of clinical
2 complete -- [inaudible - audio gap].

3 I will start over with the definition of
4 cCR36. cCR36 is defined as maintenance of clinical
5 complete response for 36 months and event-free
6 survival at 3 years by investigator assessment,
7 defined as remaining alive and free of disease
8 progression precluding surgery, local recurrence,
9 and distant recurrence. Overall survival at
10 5 years will also be assessed.

11 I will now introduce the topics for
12 discussion. As you heard from Dr. Fashoyin-Aje's
13 introductory remarks, there are several aspects of
14 the dostarlimab program in rectal cancer that
15 require further consideration and centered on: the
16 adequacy of the proposed single-arm trial to
17 evaluate the efficacy and safety of dostarlimab,
18 including the long-term benefits and risks of
19 treatment; the proposed clinical endpoints,
20 clinical complete response rates, and event-free
21 survival to characterize and verify the benefits of
22 dostarlimab, including the proposed timing of

1 analysis; the study population with dMMR/MSI-high
2 stage II-III locally advanced rectal cancer for a
3 non-operative management approach; and the
4 potential impact of the variability in care and
5 expertise across multidisciplinary staff and across
6 study sites on study conduct, and eventually on
7 outcomes.

8 The first topic of discussion is the
9 adequacy of the proposed single-arm trials to
10 evaluate the efficacy and safety of dostarlimab,
11 including the long-term benefits and risks of
12 treatment. Approximately one-third of new cancer
13 indications have been approved based on single-arm
14 trials evaluating response rate; however, FDA has
15 generally required randomized-controlled trials to
16 support approvals in the curative setting where a
17 comparative assessment to standard of care can be
18 performed and endpoints of clinical benefits such
19 as survival can be evaluated. Analysis of survival
20 outcomes are uninterpretable in single-arm trials.
21 Additionally, single-arm trials generally do not
22 reliably characterize drug effects on symptoms or

1 function.

2 The available data that describes outcomes
3 following non-operative management are derived
4 mostly from retrospective series from highly
5 specialized centers. These series evaluate
6 different outcomes in heterogeneous populations who
7 received various treatments and often challenges.
8 As such, there are currently no benchmarks in
9 patients with locally advanced rectal cancer for
10 whom some type of local treatment with earlier
11 therapy has been omitted or deferred.

12 GSK states that conduct of a randomized
13 trial in patients with dMMR/MSI-high locally
14 advanced rectal cancer is infeasible, citing the
15 rarity of the disease and the high rate of clinical
16 complete response observed in the available
17 preliminary data from the Memorial Sloan Kettering
18 trial, which may be leading to lack of interest in
19 a trial comparing dostarlimab with standard-of-care
20 treatment. It is not clear that dMMR/MSI-high
21 locally advanced rectal cancer is so rare as to
22 preclude the conduct of a randomized study.

1 Diseases with lower incidence have been
2 successfully studied in the randomized setting.

3 Although preliminary clinical data in
4 18 patients are promising, cautious consideration
5 of whether this data may preclude the conduct of a
6 randomized study is warranted. Given these
7 limitations, we would like the committee to discuss
8 the use of single-arm trials in the curative-intent
9 setting, as a comparative assessment to standard of
10 care cannot be performed, and evaluation of
11 time-to-event endpoints and other important
12 information about outcomes to characterize clinical
13 benefit may not be interpretable without a
14 comparator arm.

15 The second topic for discussion is the
16 adequacy of the proposed clinical endpoints,
17 clinical complete response rates, and event-free
18 survival to characterize and verify the benefits of
19 dostarlimab, including the proposed timing of
20 analysis. GSK proposes clinical complete response
21 at 12 months as assessed by Independent Central
22 Review as the primary endpoint for the proposed

1 single-arm trials intended to support a future
2 marketing application for accelerated approval.

3 In oncology, the efficacy endpoint most
4 frequently used for accelerated approval in solid
5 tumor malignancies is a durable response rate. The
6 response rate is a reliable marker of drug activity
7 since malignant tumors generally do not shrink
8 without therapeutic intervention. However, the
9 overall response rate, there's an uncertain
10 relationship to improvement in overall survival in
11 diverse cancer types.

12 GSK proposes to use clinical complete
13 response and event-free survival at 36 months to
14 verify the clinical benefit of dostarlimab if
15 accelerated approval is granted. As previously
16 discussed, analysis of long-term survival outcomes
17 such as event-free survival and overall survival is
18 uninterpretable in the absence of concurrent
19 control. Additionally, evidence supporting the
20 non-operative approach is derived mostly from
21 non-randomized, retrospective studies.

22 As you heard today, there is marked

1 heterogeneity across studies, which limit the
2 interpretation of data from these trials. We would
3 like the committee to discuss the limitations of
4 historical data on clinical complete response rate
5 as endpoint for locally advanced rectal cancer
6 therapy; the magnitude and durability of clinical
7 complete response reasonably likely to predict
8 clinical benefits; and the interpretability of
9 event-free survival as an endpoint of clinical
10 benefit in a single-arm trial.

11 The third topic of discussion is related to
12 the study population with locally advanced rectal
13 cancer for a non-operative approach. Patients with
14 Stage II-III locally advanced rectal cancer are
15 typically treated with standard-of-care sequencing
16 chemotherapy, radiation, and surgery; however, the
17 presence of lymph nodes and/or large tumors may
18 signal a higher risk of recurrence. Additionally,
19 it isn't clear to what degree patients with
20 clinical disease features that may confer higher
21 surgical risk or higher risk of recurrence -- for
22 example, stage presence of Lynch syndrome -- have

1 been included in or excluded from studies
2 evaluating the non-operative management.

3 The criteria to select patients for
4 non-operative management have not been established.
5 As such, discuss whether a prespecified number of
6 patients at higher risk of recurrence -- for
7 example, those with clinical T4 or node-positive
8 disease -- should be studied in the proposed trials
9 to permit a benefit-risk assessment in the
10 heterogenous, locally advanced rectal cancer
11 population.

12 The fourth topic for discussion is related
13 to the potential impact of the variability in care
14 and expertise across multidisciplinary study staff
15 and across study sites on study conduct, and
16 ultimately on outcomes. Irrespective of the
17 treatment strategy used, studies have shown that
18 patients treated at high volume centers with
19 surgical expertise and specialization in the
20 treatment of locally advanced rectal cancer have
21 better outcomes such as higher rates of sphincter
22 preservation, decreased rates of post-operative

1 morbidity and mortality, lower rates of local
2 recurrence, and improved survival compared to those
3 treated at lower volume centers.

4 Non-operative management requires intensive
5 follow-up to facilitate early recognition of local
6 or systemic recurrences and to increase the chances
7 of a successful salvage treatment. It is
8 recommended that a multidisciplinary team be
9 involved in the care of patients with locally
10 advanced rectal cancer, particularly when
11 implementing the non-operative management strategy,
12 as patients with locally advanced rectal cancer
13 represent a heterogeneous group with respect to
14 risk of recurrence.

15 In Study 2, and if approved in the real
16 world, patients will be followed across centers
17 with variable experience with a non-operative
18 management approach. The results of the
19 preliminary evaluation of dostarlimab in
20 dMMR/MSI-high locally advanced rectal cancer
21 indicate high clinical complete response rates.
22 These results are based on a single institution

1 trial conducted in a high volume center with the
2 expertise to provide non-operative management as a
3 treatment option to patients.

4 Study 2 is a global, multicenter study that
5 will involve 100 patients, 30 of whom will be
6 enrolled in the U.S., including at Memorial Sloan
7 Kettering. It isn't clear the extent to which data
8 will be generalizable to a broader population
9 treated in centers with variable expertise in
10 managing locally advanced rectal cancer using a
11 non-operative management approach. Discuss any
12 specific recommendations for site selections to
13 characterize the benefits and risks of treatment
14 with dostarlimab for this indication across diverse
15 clinical centers.

16 To conclude, GSK is developing dostarlimab
17 as a single agent for the treatment of patients
18 with locally advanced, treatment-naïve, mismatch
19 repair deficient or microsatellite instability-high
20 rectal cancer. However, there is uncertainty
21 regarding the efficacy of non-operative management
22 in locally advanced rectal cancer given the

1 heterogeneity of data supporting this approach, the
2 paucity of data for patients with dMMR/MSI-high
3 locally advanced rectal cancer, and patients who
4 have not received prior therapy.

5 In addition, there are also uncertainties on
6 the adequacy of the proposed data package to permit
7 a benefit-risk assessment for the proposed
8 indication. We seek to gain the committee's input
9 on the proposed data package for a future
10 dostarlimab application to support accelerated
11 approval for this indication and to subsequently
12 confirm clinical benefit. Considering these
13 issues, FDA asks the committee to vote on the
14 following.

15 Will the data from the proposed single-arm
16 trials, enrolling a total of 130 patients, be
17 sufficient to characterize the benefits and risks
18 of dostarlimab in the curative-intent setting for
19 patients with dMMR/MSI-high locally advanced rectal
20 cancer? Thank you for your attention.

21 **Clarifying Questions to Presenters**

22 DR. GARCIA: Thank you, Dr. Casak.

1 We will now take clarifying questions for
2 the presenters, GlaxoSmithKline, LLC; guest
3 speaker; and FDA. Please use the raise-hand icon
4 to indicate that you have a question and remember
5 to clear the icon after you have asked your
6 question. When acknowledged, please remember to
7 state your name for the record before you speak and
8 direct your question to a specific presenter, if
9 you can. If you wish for a specific slide to be
10 displayed, please let us know the slide number, if
11 possible.

12 Finally, it would be helpful to acknowledge
13 the end of your question with a thank you and end
14 of your follow-up question with, "That is all for
15 my questions," so we can move on to the next panel
16 member.

17 So maybe I'll start with a comment, then a
18 question, before the group and the committee start
19 asking or commenting on presentations that we just
20 heard.

21 So it is clear to me that I recognize how
22 unlikely it would be for patients with

1 MMR-deficient and MSI-high locally advanced rectal
2 cancer to be randomized, their willingness to be
3 randomized to a surgical arm if such a trial
4 existed. I also recognize that although JANUS is a
5 well-designed, well-thought-out trial, it does
6 exclude patients with this biology, if you will,
7 with MMR and MSI-high disease. So I'm not sure
8 that JANUS will be applicable for the patients in
9 question today.

10 A question for Dr. Ng and Dr. Smith from the
11 surgical perspective and also from the medical
12 oncology perspective, as both of you are experts in
13 this field, I recognize -- and GSK has expressed in
14 their presentation -- that there is an
15 international consensus panel that has been
16 reluctant to do or move forward with a randomized
17 study designed precisely because of the potential
18 for low accrual.

19 But if the question for me is surgery and
20 the ability, we're asked, to lead to a durable
21 complete response, quote/unquote, "cure," that may
22 delay or avoid perhaps the morbidity and potential

1 detriment in quality of life with a surgical
2 approach such as the TME and/or LAR, why would
3 not -- and again, I'm not a GI medical oncologist,
4 but from the drug development perspective, why not
5 do a randomized trial where we look at the I-O
6 approach with dostarlimab against a chemo RT
7 approach with an endpoint of complete clinical
8 response, and only then decide who are the patients
9 who actually may not be or may be ideal candidates
10 for non-operative management?

11 Dr. Ng and Dr. Smith, if you can comment on
12 that or perhaps answer that question.

13 DR. NG: Sure. This is Kimmie Ng. I can
14 start. I do agree that a randomized clinical trial
15 is not likely to be feasible in this population for
16 many of the reasons that have already been
17 presented. There is just such limited data on how
18 these MSI-high rectal cancer patients do with
19 standard of care, although much of the data
20 suggests they don't respond very well to
21 chemotherapy but may still respond quite well to
22 chemoradiation.

1 The problem is the toxicity of radiation,
2 and I think with increasingly large numbers of
3 young patients being diagnosed with locally
4 advanced rectal cancer, many of whom do want to
5 preserve their fertility, for example, it will be
6 very hard, in my opinion, to randomize to a
7 chemoradiation arm.

8 DR. GARCIA: Thank you, Dr. Ng.

9 Dr. Smith?

10 DR. SMITH: I'm here, yes. I'll just speak
11 to your comment about the inability to randomize
12 patients to a watch-and-wait arm. We know from the
13 design of the JANUS trial, in addition to the
14 design for the OPRA trial, speaking of patients, in
15 addition to our own experience off protocol,
16 patients will not be randomized at the clinical
17 achievement of clinical complete response; they
18 would not be willing to be randomized to watch and
19 wait at that time.

20 So I think it's a very important point that
21 you bring up, and I completely agree with what
22 Dr. Ng just stated. I think she's right on point

1 there, and I agree with what she said.

2 DR. GARCIA: Thank you.

3 We have some questions from our committee
4 members. We'll start with Dr. Ciombor.

5 DR. CIOMBOR: Thank you. Yes, I have a
6 couple of clarifying questions for GSK about the
7 219369 study design, specifically, a couple of
8 detailed questions.

9 Will you require central confirmation of
10 MSI-high status or deficient mismatch repair, and
11 what do you anticipate in terms of the global reach
12 of this study? You mentioned that there would be
13 more than 45 sites. How do you anticipate that
14 being distributed across the world?

15 DR. VLAHOVIC: This is Gordana Vlahovic.
16 I'm the dostarlimab development lead here, and I do
17 have Dr. Alvarez, a pathologist, to answer your
18 first question.

19 DR. ALVAREZ: Hi. My name is JD Alvarez. I
20 am the head of precision medicine at GSK, and I am
21 trained as a medical pathologist. In this trial,
22 we are allowing local testing for enrollment, but

1 we are centrally confirming using an FDA-approved
2 companion diagnostic, the VENTANA MMR IHC.

3 DR. VLAHOVIC: This is Gordana Vlahovic. If
4 I can answer your second question, can you please
5 repeat? Were you asking about global
6 representation or sites for states or out?

7 DR. CIOMBOR: My question was how do you
8 anticipate the distribution of sites, either
9 selected or participating, in terms
10 of -- obviously, you're hoping to have global
11 representation, which is wonderful, but any ideas
12 of how many sites will be opened in various regions
13 of the world for this study?

14 DR. VLAHOVIC: Yes, we do. For now,
15 feasibility is still ongoing, so we are still
16 looking into some countries, additional countries
17 and sites, but for now we have 10 countries and
18 43 sites that we have identified already.

19 DR. CIOMBOR: And what's the --

20 DR. VLAHOVIC: I'm sorry. Global means the
21 United States, we are going to Europe, and we are
22 going to Asia as well.

1 DR. CIOMBOR: Do you anticipate that most of
2 this will be ex-U.S. or --

3 DR. VLAHOVIC: It is a small study, and we
4 are aiming at adequate representation in the
5 totality of the number for U.S.

6 DR. CIOMBOR: Thank you. That answers my
7 questions.

8 DR. GARCIA: Thank you.

9 We'll move forward with our next ODAC
10 member, Dr. Madan?

11 (No response.)

12 DR. GARCIA: Dr. Madan, maybe you're in
13 mute.

14 (No response.)

15 DR. GARCIA: Alright. In the interest of
16 time, we'll move on then.

17 Dr. Nieva?

18 DR. NIEVA: Thank you. Jorge Nieva, USC. I
19 have two questions. The first is for Dr. Cercek,
20 and the second is for the GSK team.

21 For Dr. Cercek, how many people enrolled in
22 the MSK study failed to complete 6 months of

1 therapy? What was the screen failure rate and what
2 was the dropout rate? And maybe we'll stop there,
3 and then I'll ask the GSK team question.

4 DR. CERCEK: Thank you. To date, all
5 patients have completed all 6 months of therapy.
6 We have not had to stop therapy early. The screen
7 failure rate was 3 patients total out of the 30.
8 As you could probably imagine initially -- and the
9 drop rate, rather. Initially, the patients were
10 unsure, so some patients proceeded with standard of
11 care; however now we are enrolling all patients
12 that present because of their willingness and
13 interest in enrolling.

14 We have had 3 fails. Two were IHC positive,
15 and then on repeat were actually not mismatch
16 repair deficient, and then one patient was mismatch
17 repair deficient -- rather, was mismatch repair
18 proficient, but rather than MSI, so this patient
19 should have been enrolled, and then was not, and
20 was treated with standard of care off study.

21 DR. NIEVA: Then for the MSK team, I was
22 wondering what the proposed failure looks like in

1 the 219369 study. We have from OPRA 2 a 75 percent
2 cCR rate, cCR12 rate. Would you propose
3 non-inferiority in the design to 75 percent? What
4 in a single-arm study looks like failure? Why
5 don't we start there?

6 DR. VLAHOVIC: I'm going to invite Dr. Chen,
7 who is our statistician, to address your question?

8 DR. CHEN: Thank you. Tai Chen, GSK
9 statistics. The study was designed to assume a
10 cCR12 rate with a certain precision. Currently, we
11 have 130 patients, and with 130 patients, the
12 maximum width of the confidence interval would be
13 approximately 20 percent. So let's put this in
14 perspective. If we have a cCR rate of 85 percent,
15 the lower bound of the confidence interval will be
16 approximately 75 percent. Thank you.

17 DR. NIEVA: Thank you. We heard from
18 Dr. Cercek the challenges with interpretation of
19 the biomarker. What are going to be the standards
20 for biomarker interpretation for both eligibility,
21 as well as for being evaluable for the primary
22 endpoint?

1 DR. VLAHOVIC: We will enroll based on the
2 local testing when available, and if not available,
3 we're going to use a central testing, and central
4 testing also will be provided, but at the end of
5 the study, as a part of the bridging study as a
6 confirmatory study. So we will enroll patients
7 based on the local or central testing, and we will
8 also analyze the patients with all of them enrolled
9 as eligible for a study within our denominator.

10 DR. NIEVA: And will that central review be
11 something that is done by an outside vendor where
12 GSK is blinded to that determination or is GSK
13 going to be informed of that determination when
14 deciding on eligibility?

15 DR. VLAHOVIC: If local testing is not
16 available, the central testing will have to be
17 provided for eligibility. So yes, that information
18 will be provided. After the study is done and
19 completed, that particular information of the rest
20 of the patients tested with local testing and
21 having confirmatory central testing will be
22 provided only after the study's done.

1 DR. NIEVA: Thank you. That concludes my
2 questions.

3 DR. GARCIA: Thank you.

4 We'll move forward with Dr. Kunz.

5 DR. KUNZ: Great. Thank you. This is Pam
6 Kunz. I have a question for Dr. Ng, and it's
7 specifically on slide 31 regarding the consensus
8 guidelines.

9 I have a question about the recommended
10 endpoint, and wondered if you could just review it.
11 It looks like from the slide that the phase 1/2
12 trials has a cCR as the recommended primary
13 endpoint to enable evaluation of non-operative
14 management, and then phase 2/3 have organ
15 preservation. And I'm just wondering if you could
16 comment how this relates to the proposed trial.
17 Thank you.

18 DR. NG: Yes. Thank you for your question.
19 I do think it's something that probably does need
20 to be taken into consideration as you consider what
21 the ideal endpoint is for a trial that's being
22 proposed. It does seem to be a phase 2 trial. It

1 isn't intensifying therapy, though, in order to
2 enable non-operative management; but again, it's a
3 different biology that's being studied here and a
4 different type of therapy that is being studied.

5 I think the consensus group did recommend
6 that for larger phase 2/3 trials, where standard of
7 care is being changed, that 3-year organ
8 preservation rate is the endpoint that is
9 recommended.

10 DR. KUNZ: Okay. Thank you.

11 DR. GARCIA: Thank you.

12 We'll move to Dr. Conaway.

13 DR. CONAWAY: Yes. Mark Conaway. Yes. I
14 had a couple of questions. One question is about
15 the feasibility of the randomized trial. The
16 colorectal trial does have a randomization, both
17 arms having surgery, though one is delayed.

18 Can you expand a bit on why randomization is
19 feasible in that population and not in the rectal
20 cancer population?

21 DR. VLAHOVIC: Sure. We do not actually
22 re-randomize in colon cancer, as we believe that

1 the surgery, which is part of both arms,
2 experimental and control, is something that we
3 still were not ready to avoid in that population.
4 And the reasons why are because non-operative
5 management has been long studied in the rectal
6 cancer patients; as the surgery, though, is
7 significantly more complex and associated with
8 significant comorbidities, which on the other hand,
9 colon cancer has a surgery that is less complex and
10 certainly is associated with less comorbidities.

11 But just to come back to what's really
12 important here and why did we choose the colon
13 cancer as the confirmatory study is we are talking
14 about -- [audio feedback]. Okay. I am so sorry.
15 I heard an echo, and I thought you asked me to
16 stop.

17 We are actually selecting very homogeneous
18 populations because both colon and rectal are
19 dMMR/MSI-high selected phases 2 and 3. They are
20 very similar when it comes to their biology. They
21 have a historically already metastatic setting
22 established, very good responses, and sustained

1 responses to immunotherapy, and both dMMR/MSI-high
2 in colon and rectal have data supportive, not
3 suboptimal, and less susceptibility to
4 chemotherapy. Furthermore, rectal is more rare.
5 There is a high incidence of colon cancer,
6 therefore randomization itself seems more
7 plausible. Thank you.

8 DR. CONAWAY: Thank you.

9 My next question for anyone on the GSK team,
10 I heard the word "representative sample" for the
11 future 100-participant trial. How will you know or
12 how will you design the trial to ensure that
13 happens?

14 DR. VLAHOVIC: Are you applying to diversity
15 of the population? Can you please clarify the
16 question for me?

17 DR. CONAWAY: I would just clarify the
18 question. Is there enough known about the
19 population of dMMR/MSI-high locally advanced rectal
20 cancer patients to even know if this is a
21 representative sample, and to know if the
22 information you're getting out of that study is

1 somehow representative of a larger population?

2 DR. VLAHOVIC: To begin with, the
3 dMMR/MSI-high population is rather small. If you
4 look at the numbers, databases, even the numbers,
5 the database we've used or FDA used, when it comes
6 to the prevalence of dMMR/MSI-high is it is a lower
7 percentage. So therefore, we are talking in the
8 U.S. between 2[000] and 4,000 at the best case.

9 What's really important to mention is there
10 is data out there in the metastatic setting, in the
11 dMMR/MSI-high population, that is strongly
12 supportive of usage of immunotherapy in that
13 population, where the responses were shown to be
14 significantly better than what we see with standard
15 of care, and very importantly, those responses are
16 sustainable responses.

17 DR. CONAWAY: Okay. Thank you. That
18 answers my questions.

19 DR. GARCIA: Thank you.

20 Dr. Vasan, do you have a question?

21 DR. VASAN: Hi. I had a question for
22 Dr. Ng, and this is about slide 26 in your slide

1 deck. I'm just still trying to make sense of using
2 cCR as an endpoint and the role in locally advanced
3 rectal cancer; I guess just two questions.

4 The first is this says that patients that
5 sustain cCR should have an equivalent overall
6 survival. We've seen this in the slide above, and
7 I recognize that these are small numbers. We have
8 a DFS; the DFS is favoring surgery, and I think in
9 a lot of the other retrospective or single-arm
10 historical studies, it seems that the cCR rates are
11 still associated with reasonably high DFS rates.

12 Do we have any evidence, just overall, that
13 cCR really correlates with improved DFS in this
14 disease? Then the second question was, in the IWWD
15 cohort, were their patients in that cohort who had
16 Lynch syndrome or MSI-high rectal cancer, and if we
17 have any of those subset analyses?

18 DR. NG: Hi. Thank you for your question.
19 To address your first question, do we have enough
20 data that cCR actually does correlate with
21 increased survival, long-term survival, we don't
22 have robust prospective data. The data that has

1 been cited largely stem from single institution
2 retrospective studies such as from Brazil,
3 including some patients in the international
4 watch-and-wait database that, in general, had
5 fairly favorable staging to begin.

6 The patients in that study also had variable
7 staging methods. Many were not staged with MRI,
8 for example. The treatments were highly variable.
9 Some only received radiation and not what we would
10 consider the modern standard of care. But in those
11 studies, it did show that those who did have cCR
12 seemed to have better outcomes. But again, these
13 are not data from prospective studies.

14 In regards to your second question about how
15 many patients in the international watch-and-wait
16 database did have Lynch syndrome, I don't think
17 that data is available, at least not in my
18 recollection of reading those papers.

19 DR. VASAN: Thank you.

20 DR. VLAHOVIC: Gordana Vlahovic here from
21 GSK. Please, would you allow me to invite
22 Dr. Smith? He would like to add to that answer as

1 well.

2 DR. GARCIA: Sure.

3 DR. VLAHOVIC: Thank you.

4 DR. GARCIA: Dr. Smith, you can move on.

5 DR. SMITH: There are actually data from
6 prospective trials. The German trial has long-term
7 data suggesting and showing fairly definitively
8 that there are path CR data demonstrating and
9 supporting the data that I showed in my
10 presentation. In association with clinical
11 complete response, I showed the high rates of
12 disease-free survival, and in the German trial
13 where patients all went through surgery and then
14 had pathologic complete response, meaning no tumor
15 in the resected specimen, high rates of
16 disease-free survival.

17 So it's a correlation there, but I think
18 there are data to support that when you have a
19 complete response, there's a strong association
20 with disease-free survival.

21 DR. VASAN: Well, I agree with pathologic
22 complete response. I think the data suggest that

1 that is true, But my question is really about the
2 clinical complete response, which is really the
3 endpoint in question here.

4 DR. SMITH: Right, and the data that I
5 showed, just to come back to the prospective data
6 from OPRA, and then of course the retrospective
7 data that I also showed, in OPRA, the strongest
8 prospective data is showing clinical complete
9 response and a strong correlation with disease-free
10 survival, shown here with clinical complete
11 responders having 84 percent at 3-year disease-free
12 survival compared to the incomplete responders.

13 DR. VASAN: Thank you.

14 DR. GARCIA: Thank you.

15 Dr. Madan, are you back? You can ask your
16 question.

17 DR. MADAN: Yes. I'm sorry for the
18 technical issues. I have a question for Dr. Ng
19 first, and then the sponsor.

20 For Dr. Ng, I think you said this -- and I
21 just want to clarify I understood this. But it
22 seemed like the best data to evaluate the CR and

1 its potential impact was at 2 to 3 years. Am I
2 correct in interpreting your presentation? Or you
3 can correct me if I'm not. Thank you.

4 DR. NG: Thank you. In terms of the best
5 time point to evaluate clinical complete response,
6 I showed some data that suggests that tumor local
7 regrowth can still occur at a significant rate up
8 to 2 years after completion of TNT, and rectal
9 cancer does tend to be a cancer that does have
10 later recurrences, so I do think longer term
11 follow-up is important. That being said, there are
12 also data about durability of response for MSI-high
13 tumors that has been shown in metastatic disease,
14 so MSI-high patients may be a different population.

15 DR. MADAN: Okay. Thank you for clarifying
16 it.

17 Then for the sponsor, forgive me if you
18 mentioned this, but what is the timeline you think
19 it would take to accrue to this trial, as you
20 planned it so far? Thank you.

21 DR. VLAHOVIC: I'm sorry, Dr. Garcia, or
22 Dr. Madan. Would you please repeat the question?

1 DR. MADAN: Yes. What is the timeline to
2 completing accrual to the trial you've proposed, in
3 your second trial?

4 DR. VLAHOVIC: Right. Accrual time for our
5 100-patient proposed study is about 14 months; so
6 14 months, and we are planning to obviously do the
7 follow-up as proposed, as you've seen in our study
8 design. Just as a reminder, cCR12 happens, or
9 assessment is at 18 months from the beginning of
10 the study.

11 We will also continue with data collection
12 and follow-up on those patients, and we will have
13 data from cCR. We will have at 36 months, at
14 42 months, and the 5-year at 60 months. We will
15 continue with following patients and collecting all
16 the data points. Thank you.

17 DR. MADAN: Thanks.

18 Then one question I guess for the sponsor or
19 the experts; what do we know about heterogeneity of
20 the disease at this early stage in patients who may
21 have MSI-high but also foci that are not MSI-high,
22 and then therefore may not respond to this therapy?

1 Kind of a general question for the sponsor and the
2 experts. Thank you.

3 DR. VLAHOVIC: Thank you, and I will invite
4 Dr. Cercek to address this question.

5 DR. CERCEK: I think heterogeneity has
6 certainly been described. It's incredibly rare.
7 We have not seen it to date in our patient
8 population, but I think that will be an important
9 thing to keep in mind going forward. However, just
10 generalizing MSI patients in general, immunotherapy
11 is extremely effective. What we've seen so far in
12 the neoadjuvant studies -- not just in the rectal
13 study that we presented today, but in colon cancer
14 as well -- as we mentioned, the responses,
15 pathologic complete responses to immunotherapy, are
16 really very significant. Thank you.

17 I don't know if there was a second part to
18 your question.

19 DR. GARCIA: Dr. Madan, is your question
20 answered?

21 DR. MADAN: Yes. That answers my question.

22 DR. GARCIA: Thank you, Dr. Cercek. Thank

1 you, Dr. Madan.

2 Maybe we'll go to the FDA review division.

3 Do you guys have a question or comment?

4 DR. FASHOYIN-AJE: Yes. Good morning. This
5 is Dr. Fashoyin-Aje. We want to have a couple of
6 our staff here provide some additional comments to
7 some of the questions that have been posed. As a
8 start, I think it's really important that we make
9 sure that we all have a clear baseline in
10 describing or characterizing the available data
11 that would inform an assessment of the correlation
12 between clinical complete response rate and
13 long-term endpoints. I think, as you heard from
14 all of the presentations today, there's really a
15 scarcity of data, and the data that is available is
16 quite heterogeneous.

17 So I think it's important that, really, this
18 discussion be informed by, really, a clear
19 understanding and collective agreement of actually
20 what the data represent. So I will first start by
21 turning it over to some of our statistical
22 colleagues to comment on some of the responses with

1 respect to clinical complete response rate and
2 relationship to long-term outcomes.

3 DR. MISHRA-KALYANI: Hi. This is Pallavi
4 Mishra-Kalyani from FDA statistics. There was some
5 discussion regarding the data available to
6 characterize the association of complete response
7 rate, or clinical complete response rate, and DFS
8 or other long-term endpoints. So far, I think we
9 just want to be very clear that the data that has
10 been shown and the associations that have been
11 found are from retrospective studies and mostly
12 responder analyses, which are very hard to trust
13 with regards to demonstrating anything other than
14 potential correlation.

15 We do need more data preferably from
16 randomized studies, and certainly multiple studies
17 would be very helpful in a meta-analysis to really
18 identify whether or not there's true association
19 between these endpoints or if what we're seeing is
20 just the improved outcomes due to gradients of
21 response.

22 So as Dr. Fashoyin-Aje has just mentioned,

1 there is very little data available, and from our
2 perspective, as regulators and as statisticians,
3 there's certainly not sufficient information
4 available to demonstrate or consider an association
5 at this time between these endpoints.

6 DR. FASHOYIN-AJE: Thank you, Dr. Kalyani.
7 I will now turn it over to Dr. Steven Lemery.

8 DR. LEMERY: Hi. Thanks for acknowledging
9 me. This is Steven Lemery, DO3. I just wanted to
10 make two points. One regarding testing was brought
11 up earlier, and we do feel that that's a very
12 important point to bring up.

13 The Sloan Kettering experience, my
14 understanding, patients undergo testing with the
15 MSK impact panel, which assesses patients for
16 mutations in the dMMR proteins, as well as
17 microsatellite instability and tumor mutation
18 burden. So I think you're pretty certain that
19 those patients who are treated in the trial have
20 dMMR or microsatellite instability.

21 I think there may be a concern for patients
22 who may get tested in local settings. If there's a

1 false positive in this case, it's going to be bad
2 because the patients are going to be delaying
3 definitive therapy that they would otherwise be
4 receiving with chemoradiation. So testing, we do
5 find to be an important aspect of the care of these
6 patients and a necessary component to ensure that
7 these patients have accurate tests for this
8 disease, so the committee members may want to talk
9 about that.

10 The other issue that was asked to the
11 company was about the representativeness of the
12 patient population. I think there are multiple
13 layers to that, and we want the patients to be
14 representative as far as the racial and ethnic
15 profile of patients in the U.S. But beyond that,
16 we want the patients to be representative of the
17 patients with the stages of tumors whom may benefit
18 or not from receiving a treatment, especially
19 in rectal cancer.

20 Patients with a T4 lesion may be very
21 different in this setting than a patient with T3.
22 It is good to know, regarding nodal disease, that

1 most of the patients from the Sloan Kettering study
2 had node-positive disease, so that gives you one
3 level of comfort, but it would be helpful to know
4 the number of patients who had N2 and 3 disease,
5 which may be much higher risk compared to patients
6 who had N1 disease.

7 I think it will be important, especially if
8 the company is seeking a broader stage II/stage III
9 indication, to make sure there is a sufficient
10 number of patients with high-risk disease,
11 especially patients with T4, or N2, or N3 lesions,
12 to make sure that the risk-benefit profile is going
13 to be effective in those groups of patients.

14 DR. GARCIA: Thank you.

15 For the FDA, do you have any additional
16 comments?

17 DR. FASHOYIN-AJE: Thank you for now.

18 DR. GARCIA: Thank you.

19 Okay. Let's go back to our committee
20 members.

21 Dr. Chang, do you have a question, please?

22 DR. CHANG: Great. Thanks so much. This is

1 George Chang. My question is directed at probably
2 Dr. Cercek, Dr. Smith, and I guess the GSK
3 investigator team.

4 One of the critical components of a study
5 like this, and has been well described as the
6 primary endpoint, when done well, has a very strong
7 correlation with a pathologic clinical complete
8 response as well. The question has to do with what
9 will be the plan for confirmation of the assessment
10 of clinical complete response at each of the sites.

11 You are currently planning, on average,
12 approximately 2 patients per site, so are there
13 site qualifiers? Is there central review? What
14 other confirmatory process will there be so that
15 you can assure what is assessed locally as a
16 clinical complete response indeed is, or that
17 further treatment may be necessary? Thank you.

18 DR. VLAHOVIC: I'm going to start answering
19 this question from GSK. I'm going to invite later
20 Dr. Smith and Dr. Cercek if they want to add
21 anything else, but I would like to introduce
22 Dr. O'Donnell, who is the medical director on our

1 study, and he will provide you with those details.

2 DR. O'DONNELL: Hello, everyone. My name is
3 Dr. Sean O'Donnell. I'm a senior medical director
4 here at GSK. To address your question about how we
5 plan to standardize the assessment of cCR
6 throughout the study, we plan to approach this from
7 a number of angles. First and foremost, the
8 primary endpoint of the study, cCR12, will be
9 evaluated by Independent Central Review. We intend
10 to centrally review both endoscopies with full
11 video recordings of the entire endoscopy and a
12 central review of MRIS. We also intend to use the
13 MSK regression criteria, which has been
14 successfully used in the prospective OPRA trial and
15 has been published and used in the community now
16 for close to 10 years.

17 We intend to train our sites in how best to
18 interpret the assessments. We plan to provide
19 trainings using experts from Memorial Sloan
20 Kettering, both to our central reviewers, as well
21 as to providers in the community, both endoscopists
22 and MRI radiologists, I should say. I'll also

1 highlight that in terms of our long-term endpoints,
2 the cCR36 endpoint will also be centrally reviewed,
3 so we'll provide central confirmation there.

4 So in total, we have carefully thought about
5 the ways in which our endpoint can be standardized
6 and used across our global population, and we think
7 that that will provide the robustness and certainty
8 that FDA is seeking.

9 DR. GARCIA: This is Dr. Garcia. Just a
10 question on your statement as to training sites.
11 Could you explain how do you plan to train for
12 endoscopic assessment? Are you talking about that
13 the MSK group will be leading that effort? Are you
14 planning to have GI people, colorectal people,
15 going to sites in the community to actually train
16 standard GI or surgical people to actually do the
17 scopes? Is that the extent of the training?

18 DR. O'DONNELL: So the performance of the
19 endoscopy itself is a standardized flexible
20 sigmoidoscopy. The training will be more toward
21 interpretation of the finding, so we will be
22 providing webinars and sessions to educate the

1 proceduralists who will be performing these
2 endoscopies on what types of features they should
3 be looking for to identify a clinical complete
4 response.

5 We also hope to leverage a large database of
6 existing data that Dr. Smith has put together to
7 help train providers in the OPRA and JANUS trials
8 to provide additional information to the sites, and
9 we are looking into the feasibility of even
10 in-person opportunities to get them in front of our
11 experts to allow for question and answer.

12 DR. GARCIA: Thank you.

13 Any additional comment?

14 DR. CHANG: May I ask a follow-up question?

15 Thanks very much. That's very helpful
16 information. I guess the one missing component is
17 the digital rectal exam. How do you plan to
18 standardize and document that?

19 DR. GARCIA: Would you mind just to state
20 your name for the record so we know who is asking
21 the question?

22 DR. CHANG: I apologize. This is George

1 Chang, again, with a follow-up to my earlier
2 question. Thank you.

3 DR. GARCIA: Thank you, Dr. Chang.

4 DR. O'DONNELL: Hi. This is Dr. O'Donnell
5 again. Obviously, we can't centrally confirm
6 physical exam findings, but we do plan to gather
7 that data within our database and will use it as
8 part of a sensitivity analysis for our primary
9 endpoint.

10 DR. CHANG: Thank you --

11 (Crosstalk.)

12 DR. GARCIA: Dr. Chang, are you done with
13 your questions?

14 DR. CHANG: Yes. Thank you. That completes
15 my questions.

16 DR. GARCIA: Thank you.

17 We will go next to Dr. Park.

18 DR. PARK: Hello. This is John Park. I had
19 a question also for the sponsor on the cCR 12-month
20 endpoint. I do share some of the concerns that
21 have already been brought up, but even if it was
22 shown to be a good endpoint, I'm wondering if you

1 can comment on that we're comparing known
2 treatments that can cure, chemoradiation, with a
3 new single-agent modality that we're not sure can
4 cure. How do you bridge that uncertainty with this
5 endpoint?

6 DR. VLAHOVIC: Very importantly, I think
7 here, to set the stage, we understand who is really
8 our population, target population. Our target
9 population are dMMR/MSI-high patients who are known
10 to be exceptionally susceptible, regardless even of
11 stage, to immunotherapy. So here we have data not
12 just coming from Sloan Kettering; data that
13 patients with rectal cancer have on monotherapy,
14 exceptional, 100 percent cCR, consecutive cCR. We
15 also have data that is growing and being shared
16 publicly, as recent as ESMO, in early-stage colon
17 cancer, where I-O alone has achieved significant,
18 or 95 percent, responses with actually 67 percent
19 complete pathological response.

20 So in the setting here where we are talking
21 about different populations, where we know
22 historically that chemotherapy might not be the

1 most optimal therapy and where we believe that
2 other standard-of-care therapy provides the benefit
3 but also are associated with significant
4 comorbidities, we believe that moving forward with
5 dostarlimab, with our PD-1 inhibitor that has shown
6 cCR thus far, we believe that this is the way to
7 identify or to follow to further prove that those
8 patients could indeed benefit from long-term
9 outcomes and replace standard of care.

10 I would like to invite Dr. Cercek here, as
11 well, just to reflect and share some of her
12 observations.

13 DR. CERCEK: I'd like to just add that both
14 in the MSK study, as well as in the proposed GSK
15 study, the endpoint is cCR12; however, patients are
16 not withheld standard of care if they need it. So
17 if a patient does not achieve a clinical complete
18 response after 6 months of dostarlimab, they can
19 undergo standard-of-care chemoradiation and/or
20 surgery as needed. Likewise, they're followed very
21 closely once they achieve a cCR to reach that cCR12
22 and beyond. So if the tumor regrows, they can

1 undergo standard of care. Thank you.

2 DR. PARK: One more related question.
3 Dr. Abdullah did touch on the phase 3 colon cancer
4 trial. I guess there seems to be a little
5 asymmetry because the colon cancer trial has
6 surgery there, which we know can help cure the
7 cancer. This kind of relates to another question.
8 Why not do dostarlimab only for that trial,
9 slide 44, if there's confidence in the rectal
10 cancer setting? Can you comment on that asymmetry?

11 DR. VLAHOVIC: I think we did address that,
12 at least partially, in the prior answer, but this
13 particular study does have a surgery in both the
14 experimental and control arm. And surgery here, it
15 is something that is being less studied, and
16 surgery by itself is significantly less complex
17 even though it's curative intent and has less
18 comorbidity. We did consult with global experts,
19 and the recommendation to us, or feedback to us,
20 was for this particular population, where
21 non-operative management was not studied and we
22 don't have data versus rectal cancer where we do,

1 to reserve the surgery as part of the experimental
2 arm.

3 Now what really is important here in this
4 study, and the information and knowledge that we
5 are going to gain, is the neoadjuvant part of
6 dostarlimab, where we are going to be getting
7 information on the pathological response; and at
8 the end of the day, we compare and we use
9 information, and what we're going to use on this
10 study to reference the rectal cancer is the EFS.

11 So the magnitude of the benefit of
12 dostarlimab that we will capture from this study
13 would be, in our belief, a good reference that
14 could help actually reassure that benefit we are
15 observing in rectal cancer is true. Thank you.

16 DR. PARK: Thank you. No more questions.

17 DR. GARCIA: Thank you.

18 We'll move on with Dr. Lieu.

19 DR. LIEU: Hi. This is Chris Lieu. My
20 question is for the FDA, and just trying to wrap my
21 head around the concept of accelerated approval in
22 a curative disease setting. The reason why I ask

1 is I'm just trying to figure out where the bar is
2 in terms of what the FDA would like to see.

3 When we think about accelerated approval,
4 we've seen these approvals in regards to overall
5 response rate, and that's been in the metastatic
6 setting, and obviously the corollary here would be
7 complete clinical response. But I just want to get
8 a sense for what the FDA is looking for in the
9 accelerated approval setting given that this is a
10 curative setting and not the typical metastatic
11 disease setting that we've seen previously.

12 DR. GARCIA: Does anybody from FDA want to
13 address that question?

14 DR. PAZDUR: I will. This is Dr. Pazdur.
15 Obviously, it has to be higher. Okay? It doesn't
16 preclude the use of accelerated approval because
17 it's a serious and life-threatening disease, but
18 the uncertainty is far more acceptable when you're
19 dealing with patients in a single-arm trial who
20 have no other therapies available to them. And
21 that's the common scenario that we're using
22 accelerated approval in, is the metastatic disease

1 setting usually in patients that have gone through
2 the available therapies that are here.

3 Here again, this is the whole reason why
4 we're bringing this to the committee, is what is
5 this risk that is tolerable here, from a regulatory
6 standpoint and also from a practice standpoint?
7 You are dealing with a curative therapy, so there
8 should be greater scrutiny here, and that's why
9 we're bringing this application or this proposal to
10 this committee.

11 DR. LIEU: That's very helpful. Thank you.
12 I have no further questions.

13 DR. GARCIA: Thank you.

14 Dr. Katsoulakis, do you have a question?

15 DR. KATSOULAKIS: Hi. Thank you. Yes, I
16 guess a couple of questions and maybe then some
17 comments later. I guess there was the question
18 about also doing -- pick possible patients that
19 [indiscernible] MSI-high based on entire
20 classification, and I guess 3 out of 30 patients is
21 about a 7 percent rate, and I worry about that
22 being emphasized later on.

1 In addition to that, I guess for the initial
2 presentation from the MSK team -- unless I've
3 misread -- I know this was alluded to previously
4 with an N1 versus N2 or 3 disease and how many
5 lymph nodes are involved. Similarly, with T4
6 disease, I believe there are only 2 patients
7 enrolled from the initial cohort; however, they
8 were sort of lumped in with the T3s. And the T4s
9 traditionally behave very differently, and that's
10 one of the reasons they invade into other organs.
11 That's why we give radiation and local therapy in
12 order to have significant benefit on these
13 patients.

14 We also know for tumor size, this is a
15 really large bulk of disease. In the metastatic
16 setting, there isn't as much of a response. And
17 while tumor size has been traditionally used for
18 staging, I do wonder about the actual Ts for these
19 patients that were thought to be large tumors, but
20 I didn't see any specific data on that, if that
21 could also be shown. In addition to the nodal
22 status, I think that would be very useful. That's

1 my first question.

2 DR. VLAHOVIC: I'm going to invite
3 Dr. Cercek to respond to this question.

4 DR. CERCEK: In the initial
5 18 patients -- and Dr. Ng showed this slide as
6 well -- there were 2 patients that had T4 tumors
7 invasive into adjacent organs, into the vaginal
8 canal, and both responded and had a clinical
9 complete response.

10 We don't grade in regard to the node status.
11 We've, as a field in general, moved towards node
12 positive, but we did look at that, and about half,
13 if not over half, of the patients had N2 disease.
14 There were large, bulky tumors to a significant
15 extent, and it continues to be what we're seeing.

16 DR. KATSOULAKIS: But you will be including
17 T4 patients based on 2 patients that had a complete
18 response. I just wanted to clarify.

19 DR. CERCEK: Yes.

20 DR. KATSOULAKIS: I just worry about
21 delaying their care as well if we know that
22 chemoradiation as the center [indiscernible]

1 modality generally responds well for them, for
2 those patients and the ones that may be
3 misclassified. I do have concerns about delaying
4 their care in micrometastatic disease, and what
5 that means to them long term.

6 My other question I guess was also some of
7 the PANDORA [ph] trials use ctDNA. I just was
8 wondering if you're going to be also using other
9 blood markers in addition to that.

10 DR. CERCEK: Yes. I can answer that in the
11 MSK studies, we are enrolling T4 patients. The
12 patients are followed very closely on treatment to
13 ensure that we're not missing progression. They
14 have an endoscopic exam at 6 months and then at
15 3 months -- sorry, rather at 6 weeks and then at
16 3 months they have a full assessment with an
17 endoscopy/MRI, as well as imaging, CT/PET, to
18 assess for metastatic disease; and then again at
19 6 months at the completion of therapy, and then
20 every 4 months thereafter in follow-up.

21 DR. KATSOULAKIS: So PET CT scans will also
22 be incorporated, and not just CT scans.

1 (Crosstalk.)

2 DR. CERCEK: Yes.

3 DR. KATSOULAKIS: And then I just wanted to
4 make sure because oftentimes we pick up
5 micrometastases that CTs do not, so I just wanted
6 to just ask on that as well.

7 DR. CERCEK: Yes. In the MSK study, we are
8 doing PET CTs. This was a research question
9 initially when the trial was designed, borrowing a
10 bit from the metastatic study because normally in
11 rectal cancer, the assessment is just the CTs, and
12 we're actually finding that the CTs on the MRIs are
13 adequate to assess response in rectal cancer, even
14 in this patient population.

15 DR. KATSOULAKIS: Then finally, also --

16 DR. CERCEK: And just to follow up your
17 other question -- I apologize -- the ctDNA, we are
18 collecting ctDNA at all time points that we've been
19 assessing. We have not yet evaluated it, but that
20 will add additional data as to the clearance of
21 ctDNA, and as you said, the potential risk of
22 micrometastatic disease and eradication.

1 DR. KATSOULAKIS: I think those were the
2 PANDORA trials that used it, I think, for the
3 earlier responders. I think that was a marker that
4 they used, but you can double-check that, but
5 that's what I believe I was reviewing.

6 Then my last question, I guess, is if this
7 does go through, how will you assess whether this
8 versus other PD inhibitors, like nivolumab or
9 pembro, will have equivalent CR rates? As they're
10 all being studied, will there be equipoise amongst
11 them, or is this one supposed to be the winner?
12 And if it is, how will you compare dostarlimab if
13 this goes through for accelerated approval? Is
14 pembro just as effective or whatnot? Thank you.
15 That ends my questions.

16 DR. O'DONNELL: Hi. This is Dr. O'Donnell
17 again from GSK. I wanted to take an opportunity to
18 clarify the answers to your questions that you
19 asked as they pertain to our study. We will be
20 performing the same assessment schedule that
21 Dr. Cercek was in terms of close follow-ups. We
22 will also be performing endoscopy at 6 weeks, and

1 endoscopy, MRI, and CT at 12 weeks, and then again
2 at the end of treatments. We will also be
3 assessing ctDNA at a variety of time points
4 throughout the study to look at response, as well
5 as potentially recurrences later down the road.

6 In terms of your question about the role of
7 dostarlimab versus other PD-1s, we can only answer
8 the questions that we have in front of us, and we
9 know that the data that we are following up on are
10 with our drug, so that's one that we can develop
11 and speak to. We think that we are optimistic that
12 we will be able to recreate what Dr. Cercek has
13 shown.

14 DR. KATSOULAKIS: There's a nice editorial
15 by Rene Persaud [ph] that was published recently,
16 just discussing clinical trial design and also
17 reviewing dostarlimab versus other PD-1 inhibitors,
18 and what a clinical trial design would look like.
19 It was very nice.

20 I also did want to say as a radiation
21 oncologist that radiation has evolved over time,
22 and that the toxicities are much less than they

1 used to be, and some of the reports are a little
2 outdated using some of the 1970s data, I believe I
3 was reviewing. I just wanted to add that. Thank
4 you.

5 DR. VLAHOVIC: Thank you.

6 DR. GARCIA: Thank you.

7 Dr. Pazdur, I see your hand is up. Do you
8 have a question or a comment?

9 DR. FASHOYIN-AJE: Actually, it's
10 Dr. Fashoyin-Aje from the FDA. May I ask a
11 question?

12 DR. GARCIA: Please, go ahead.

13 DR. FASHOYIN-AJE: So I just wanted to
14 follow up on the issues around training and
15 expertise at the local levels, and I wanted to ask
16 Dr. Cercek, and maybe Dr. Ng, to comment on the
17 imaging protocols and whether or not one can
18 reasonably expect them to be the same in the highly
19 specialized centers versus other centers, and then
20 ask GSK to comment on any training they may be
21 providing to ensure adequate evaluation of the MRI
22 imaging as part of the assessment of the endpoint.

1 Thank you.

2 DR. VLAHOVIC: Dr. Ng, do you want to answer
3 first or do you prefer that GSK goes first?

4 DR. NG: I can answer quickly first.

5 Being from a large academic medical center,
6 I have limited experience with what the imaging
7 capabilities are of some community centers in other
8 parts of the country and the ability of the
9 radiologists, but I can say that at least with all
10 the community centers affiliated with our
11 institution, they are all adequately trained to be
12 able to do this.

13 Again, this is where I think the JANUS trial
14 will be really useful because that's conducted
15 through the cooperative group sites, many of which
16 are in the community, and we will be getting
17 valuable information there about the quality of the
18 reads and assessments from that study.

19 DR. VLAHOVIC: Now to share his perspective
20 on the training, since he has actually done the
21 training of the other investigators on OPRA.

22 DR. SMITH: This is Dr. Smith. We will use

1 training similar to what we did for OPRA and what
2 we're doing in JANUS, and I'll echo what
3 Dr. O'Donnell brought up earlier about the central
4 review of both the endoscopy and the MRI, and
5 bringing in experts both with use of online tools
6 and webinars to train the centers, which we found
7 can be very helpful in this regard, and enforce the
8 use of standardized consensus criteria, which is
9 very helpful in determining cCR as we move forward
10 in a prospective trial.

11 DR. GARCIA: Thank you.

12 Dr. Kunz, do you have your hand up? Do you
13 have another question?

14 DR. KUNZ: I do. Thank you. This is Pam
15 Kunz. I have one more question for GSK.

16 We talked considerably about patient
17 preference in terms of the design and how a
18 randomized design may be impractical due to that.
19 I'm wondering if you could speak to the degree of
20 patient input from patient advocates that you had
21 in the design of the study. Thank you.

22 DR. VLAHOVIC: We have collected feedback

1 from multiple experts throughout actually the globe
2 regarding the preferences of the participation in
3 such a study and recommendation to their patients
4 to be enrolled in such a study in randomized
5 fashion versus single arm. It was almost a
6 unanimous response based on the rarity of this
7 disease, all the comorbidities coming from the
8 treatment, and all the data, actually, and after
9 publicly shared, all the responses and awareness of
10 the data. The physicians, or experts, were not
11 necessarily in support of the randomization, and
12 for those reasons, we felt that it's not feasible.

13 I will also invite our medical director
14 here, who actually did have communication with a
15 lot of those exports, to share his experiences.

16 DR. O'DONNELL: Hi there. In addition to
17 the external experts from around the world that
18 Dr. Vlahovic mentioned, we also presented this
19 design of the study to GSK's patient-expert
20 council, which is a group that represents patients
21 in the community. I can also ask Dr. Cercek to
22 come and speak regarding the interactions that she

1 has with patients and the advocacy that her
2 patients have done on behalf of this idea.

3 DR. CERCEK: Thank you. I can just add to
4 that, that as I described initially, when we opened
5 the study in late 2019-2020, we did have a couple
6 patients that chose to proceed with standard of
7 care. Since the data became publicly available, we
8 have been actively sought out, and I think our
9 accrual attests to that, where we were at 18 in
10 June, and we're now over 30 patients.

11 So patients are actively seeking us out, are
12 hoping to be mismatch repair deficient when they're
13 diagnosed with rectal cancer, and I think with the
14 knowledge, of course, that they can receive the
15 therapy and potentially not have radiation or
16 surgery. So I believe that, really, a randomized
17 trial would not be feasible.

18 DR. KUNZ: Okay. That's all.

19 DR. GARCIA: Thank you.

20 DR. VLAHOVIC: Thank you.

21 DR. GARCIA: Dr. Chang, you have your hand
22 raised. Do you have another question?

1 DR. CHANG: Yes. Thank you. Thanks very
2 much. I just have one more question. One of the
3 real appeals of this approach, of this class of
4 drugs, particularly for this population, is the
5 tremendous demonstrated efficacy and low toxicity
6 in general. This is a question for the GSK team.

7 Could you speak to dostarlimab and any
8 information you can provide about toxicity data
9 compared to other currently established PD-1
10 inhibitors? Thank you.

11 DR. VLAHOVIC: Dostarlimab, overall, the
12 benefit-risk, particularly when it comes to the
13 safety profile, is aligned with all other therapies
14 that are being used and are approved PD-1 or PD-L1
15 inhibitors. The data that we have is coming from
16 our phase 2 study. We are going to have soon to be
17 shared data from the phase 3 study. But the most
18 frequent, the immune-related AEs, which is about
19 4 percent, were hypothyroidism, arthralgia,
20 pruritis, and ALT increase, which is very much
21 aligned with what we have seen with other PD-1s that
22 are being used for different indications. So

1 there's really nothing different that can pinpoint
2 or differentiate dostarlimab when it comes to its
3 safety profile from other PDXs being currently
4 approved for different cancer indications.

5 DR. GARCIA: Dr. Chang, are you satisfied
6 with the answer?

7 DR. CHANG: Yes. Thanks very much.

8 DR. GARCIA: Thank you.

9 Maybe we can move to Dr. Madan.

10 Dr. Madan, do you have another question?

11 DR. MADAN: Yes, just a follow-up question
12 for either the experts or the sponsor just so I can
13 have clarity.

14 I understand the concerns about the
15 randomization of patients and they wouldn't be
16 willing to do it, but can someone let me know if
17 the patients chose not to be randomized to this
18 trial, what would be their standard options outside
19 of the trial? Thank you.

20 DR. VLAHOVIC: Based on our study design,
21 are you asking what would be the option for the
22 patients that would be enrolled, and then chose to

1 go standard of care, or what is the standard of
2 care?

3 DR. MADAN: So my question is, if a patient
4 was provided with the opportunity to do this trial
5 if it was randomized, and one of the concerns
6 that's being raised consistently is that they
7 wouldn't submit to randomization, I'm just trying
8 to understand what would be their path to therapy
9 outside of a trial like this?

10 DR. O'DONNELL: Hi. This is Dr. O'Donnell
11 again. Patients who opted not to participate in
12 our trial would proceed with conventional standard
13 of care. As was highlighted in our presentations
14 and in a lot of the other talks today, the
15 standard-of-care approach for patients with locally
16 advanced rectal cancers involves some combination
17 of chemotherapy, radiation, and often surgery, so
18 we would expect that patients who didn't
19 participate in our study would proceed with some
20 version of local standard of care, probably
21 chemoradiation, and then potentially surgery.

22 DR. VLAHOVIC: I would like to invite

1 Dr. Cercek here just to share her own perspective
2 while this study at MSK was opened, and what
3 patients really were asking regarding all the
4 toxicities, their comorbidities coming from
5 standard of care, quality of life, which were very
6 important in helping them make the decision to
7 actually participate in the study.

8 DR. CERCEK: The standard-of-care approach
9 for locally advanced rectal cancer is total
10 neoadjuvant therapy with chemotherapy,
11 chemoradiation, and then surgery, and that is what
12 the patients would be offered, and are offered, now
13 off study. And of course, as we've heard, this
14 treatment incurs significant toxicity for the
15 patients, particularly radiation: bowel/bladder
16 dysfunction, infertility, and sexual dysfunction,
17 as well as surgery with very similar toxicities.
18 Chemotherapy as well, although not necessarily as
19 toxic, can result in permanent neuropathy in about
20 10 percent of our patients, so all three modalities
21 have significant potential toxicity for the
22 patients.

1 DR. MADAN: Just, I guess, a comment. I
2 think that we're saying that patients wouldn't
3 submit to randomization because they don't want to
4 have surgery, but it sounds like if you were to do
5 a randomized trial, they would either submit to
6 randomization or submit to surgery anyway, unless
7 I'm missing something. And that's the end of my
8 question. Thank you.

9 DR. O'DONNELL: We would just like to
10 highlight that while randomization to surgery is
11 something that is being resisted throughout the
12 community, we also have noted that resistance to
13 radiation is quite high, and one of the challenges
14 in running a randomized trial in this setting would
15 be the randomization to an arm that would contain
16 radiation and the attendant risks associated with
17 that. So it's not just about randomizing to and
18 away from surgery; it's also randomizing to and
19 away from radiation.

20 DR. VLAHOVIC: And furthermore -- this is
21 Gordana Vlahovic -- if I may add, the standard of
22 care is combination of chemotherapy, radiation, and

1 then surgery, if necessary. But just by itself,
2 chemotherapy and radiation was resisted, and
3 radiation because of the significant toxicities
4 that are associated with it and later
5 comorbidities, not to mention secondary
6 malignancies. That is a risk associated with the
7 radiation, but also the fact that we know that the
8 dMMR/MSI-high population is not as susceptible to
9 chemotherapy.

10 Just for information, for reference, there
11 is a study done by a corporate group in the UK,
12 FOxTROT, that actually demonstrated response to
13 chemotherapy in dMMR/MSI-high colon cancer to be
14 around 7 percent versus, when we looked at MMRP,
15 22 percent. So in totality, standard of care, as
16 much as it provides success for this early locally
17 advanced rectal cancer, it is also associated with
18 significant comorbidities, and certainly with
19 irreversible change of the lifestyle. Thank you.

20 DR. GARCIA: Thank you.

21 Dr. Pazdur?

22 DR. PAZDUR: First of all, I'd like to

1 answer Dr. Madan's question. I think many people
2 would use off-label; not that I'm advocating
3 off-label use, but the practical situation would be
4 that many people would consider that, either this
5 drug or another PD-1 drug.

6 But I wanted to ask some questions of the
7 sponsor. We saw a great deal of variation in how
8 common this disease is, ranging from 3 to
9 20 percent, which is a huge spread here. What is
10 your current analysis of the landscape here as far
11 as how common this disease is as detected in the
12 primary tumor? Not metastatic disease, primary
13 tumors we're talking about, patients that present
14 with localized disease. How common is this?

15 Can you give me better numbers than 3 to
16 20 percent? Because 20 percent could mean that you
17 could do a randomized trial; 3 percent is kind of
18 vague, so to speak, like could it be done? I don't
19 know.

20 DR. VLAHOVIC: I'm going to invite
21 Dr. Cercek, actually, Dr. Pazdur, if you don't
22 mind, to respond to that question, as she does see

1 those patients. And she is an expert in the field,
2 so she can provide you with her own perspectives
3 regarding the prevalence, actually, of
4 dMMR/MSI-high in rectal cancer.

5 DR. CERCEK: Thank you. You're absolutely
6 correct, and I think it's actually probably on the
7 lower end of that spectrum. What we've seen
8 recently in the community, it appears to be about
9 2.7 percent. Some of those may have also been
10 metastatic, but we believe probably it's on the
11 order of 3 to 5 percent and not some of the higher
12 numbers that were quoted.

13 DR. PAZDUR: But we really don't know.

14 DR. CERCEK: We don't know, but we're
15 collecting data as we --

16 DR. PAZDUR: Okay. So that brings us to how
17 much we know about how this entity behaved
18 clinically. I guess this is a question for GSK.

19 At the end of the day, I don't know if we're
20 going to be able to do this randomized study in
21 colon cancer, and I'll come back to that point, but
22 others have brought this up. So at the end of the

1 day, we might be just looking at complete response
2 rate in a single-arm trial, and then having to
3 compare it to an external control.

4 How much do we know about MSI-high primary
5 rectal cancer and their clinical outcomes treated
6 with conventional, non-operative approaches of
7 radiation therapy and chemotherapy? How many
8 patients do we have here, and what are the clinical
9 outcomes?

10 DR. VLAHOVIC: I will invite Dr. Cercek to
11 help me to answer this question.

12 DR. CERCEK: So what we know --

13 DR. PAZDUR: This is, at the end of the day,
14 something that we might need to really have an
15 understanding of if we're going to be looking at
16 what is the recurrence rate, and what's the
17 clinical outcome of patients treated in this
18 single-arm trial. So what do we compare it to?

19 DR. CERCEK: Yes. Data are somewhat
20 limited, and they're retrospective. We looked at
21 patients treated with total neoadjuvant therapy,
22 and in our case it was chemotherapy first, followed

1 by chemoradiation and surgery, and we thought that
2 29 percent of them -- this was a cohort of
3 21 patients, but 29 percent of them actually
4 progressed on induction chemotherapy, which was in
5 sharp contrast to the mismatch repair proficient
6 population, where either everyone responded or had
7 stable disease.

8 In colon cancer, from the FOxTROT study,
9 where patients had resectable colon cancer but they
10 received neoadjuvant chemotherapy, which is our
11 standard 5FU oxaliplatin-based chemotherapy, the
12 response rate in the MSI population, which was
13 about 100 patients, 105 patients, was 7 percent; so
14 really, very poor responses to chemotherapy.

15 And then again --

16 (Crosstalk.)

17 DR. PAZDUR: Okay. So the number of -- yes?

18 DR. CERCEK: But going back to rectal
19 cancer, we do have data that the patients do
20 respond to chemoradiation, and they can respond,
21 therefore, to a total neoadjuvant package,
22 including chemoradiation.

1 There was a study from MD Anderson published
2 in 2016, where they looked at 62 patients that
3 received neoadjuvant therapy, and the pathologic
4 complete response rate was 27 percent. So they did
5 respond, but they received radiation. So those are
6 some of the variabilities here in treatment and
7 potential associated --

8 (Crosstalk.)

9 DR. PAZDUR: But what were their outcomes
10 after these clinical complete response rates? Did
11 we know that?

12 DR. CERCEK: We do. The overall survival, I
13 believe, a 5-year survival was close to 90 percent,
14 and there were two other smaller series published
15 each of about 20 patients with mismatched repair
16 deficient cancers, and there was a bit of
17 variability with a DFS of 50 percent, and then an
18 overall survival also in the higher end, I believe
19 80 or 90 percent; so a small data set, somewhat
20 variable, but --

21 DR. PAZDUR: So the total end on these data
22 sets are what; the total number of patients we're

1 basing this?

2 DR. CERCEK: I would say in rectal totals,
3 about a hundred, maybe a little over a hundred.

4 DR. PAZDUR: Okay. Okay.

5 I'd like to go back to GSK about the
6 randomized study that you're suggesting be done.
7 Here again, we've had a lot of discussions about
8 confirmatory trials have to be done in a timely
9 fashion, and one of the reasons that we want trials
10 to be done -- not be done, but to be adequately
11 accruing patients, is can they be done?

12 Do you actually think that -- I know this
13 has been alluded to by several of the committee
14 members -- there is going to be equipoise here to
15 actively enroll patients? You kind of minimize the
16 aspect of surgery here, and nobody wants a
17 hemicolectomy, and if they could avoid a
18 hemicolectomy, they'll do anything in the world to
19 do that, so to speak. So I'm just wondering, I
20 don't want to agree necessarily to a trial that
21 can't be done.

22 Do you actually think that over time, if

1 this drug is approved in rectal cancer, that there
2 will be equipoise; that people will say, "Okay,
3 I'll go on to do surgery," or will they just say,
4 "Okay, I got a CR. I just want to take a
5 wait-and-see approach to this?" What has been your
6 discussion on this? Because I really think people
7 will try to avoid any type of invasive surgery.
8 Obviously, a hemicolectomy, people would want to
9 avoid.

10 DR. VLAHOVIC: We have considered that. We
11 actually, really, spend a considerable amount of
12 time discussing this particular issue. We have
13 seek-and-receive advice, specifically regarding
14 surgery or no surgery for colon dMMR/MSI-high
15 patients, and interestingly, with all different
16 specialties' feedback and experts in the field. At
17 this point, because of the type of surgery, even
18 though we acknowledge, still, it is a surgery, it
19 would be harder to omit. It is something certainly
20 that we would like to consider to investigate
21 further in a different setting, but for this
22 particular study, the randomization was something

1 that has been strongly recommended by experts in
2 the field.

3 Again, we are hoping we rethink and have
4 confidence that we are going to be able to enroll.
5 We are maximizing, actually, the randomization for
6 the experimental arm, and hopefully with that,
7 patients will have a higher chance to receive I-O
8 versus the standard of care, and that's a puzzle.

9 If I may go back to your first question, I
10 would like to add something else that we are
11 currently doing, and that's something that would
12 probably bring some information regarding the
13 control arm. Right now, we are looking and doing
14 the feasibility of the sites that we have
15 identified where we can actually build an external
16 control arm, particularly in the dMMR/MSI-high
17 stages II and III rectal cancer patients. We have
18 identified 5 sites thus far, and we are planning,
19 when we complete our assessment, to come back to
20 the FDA for further interaction and for your
21 advice.

22 DR. PAZDUR: But getting back to the

1 randomized study, obviously this is what your
2 investigators and key opinion leaders tell you now;
3 however, their opinions, as people get more and
4 more experience with treating patients and see that
5 patients are getting complete response, may change,
6 and that's obvious.

7 DR. VLAHOVIC: Right.

8 DR. PAZDUR: Wouldn't you agree to that?

9 DR. VLAHOVIC: Yes, I would. Yes, I would,
10 but --

11 DR. PAZDUR: And this study may not be able
12 to be done, and I think we just have to be --

13 DR. VLAHOVIC: Well, but one thing that I
14 would like to say --

15 (Crosstalk.)

16 DR. PAZDUR: At this point, we can't. I
17 guess what I'm trying to say is the proof is in the
18 pudding. We'd like to see the accrual on a study
19 like this, and we've made that point very clear
20 that we want confirmatory studies enrolling at the
21 time of an accelerated approval. That we've made
22 multiple times, and it's actually been in recent

1 legislation.

2 DR. VLAHOVIC: Dr. Pazdur, if you'll allow
3 me, I would like to invite Dr. Abdullah to actually
4 comment on this question.

5 DR. GARCIA: Just in the interest of time,
6 perhaps it is acceptable to you, Dr. Pazdur, and to
7 GSK and the applicant, if we can just actually
8 probably just take a break.

9 DR. PAZDUR: Okay. That would be fine.
10 That's fine.

11 DR. GARCIA: Thank you.

12 I think we're going to be able to address
13 and have some clarifying questions after the OPH
14 session, so maybe we can move on so we're not
15 behind.

16 Well, just simply, we'll now take a
17 30-minute break. Panel members, please remember
18 that there should be no chatting or discussion of
19 the meeting topic with anyone during the break.
20 We'll resume at -- 30 minutes, that would be around
21 2:49-2:50; perhaps we can do it so we can get there
22 on time. Thank you.

1 DR. ABDULLAH: Dr. Garcia?

2 DR. GARCIA: Yes?

3 DR. ABDULLAH: If it's ok, it's Dr. Abdullah
4 from GSK. Can I just get 10 seconds only, and then
5 we can go to the break?

6 DR. GARCIA: I would prefer, if you don't
7 mind, as my prerogative as the chair, just to
8 actually have any other additional comments in the
9 next session, if you don't mind.

10 DR. ABDULLAH: No problem. No problem.

11 DR. GARCIA: Thank you. I appreciate it.
12 Thank you all; 2:50 for everybody. Thank you very
13 much.

14 (Whereupon, at 2:20 p.m., a lunch recess was
15 taken.)

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(2:50 p.m.)

Open Public Hearing

DR. GARCIA: We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationships that you may have with the sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment for your travel, lodging, or other expenses in connection with your participation in the meeting.

1 Likewise, FDA encourages you, at the
2 beginning of your statement, to advise the
3 committee if you do not have any such financial
4 relationships. If you choose not to address this
5 issue of financial relationships at the beginning
6 of your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them.

13 That said, in many instances and for many
14 topics, there will be a variety of opinions. One
15 of our goals for today is for this open public
16 hearing to be conducted in a fair and open way,
17 where every participant is listened to carefully
18 and treated with dignity, courtesy, and respect.
19 Therefore, please speak only when recognized by the
20 chairperson. Thank you for your cooperation.

21 Will speaker number 1 begin by stating your
22 name and any organization you are representing for

1 the record?

2 MS. ROTH: Hi. This is Sascha Roth. I have
3 no financial disclosures. Should I continue?

4 DR. GARCIA: Please proceed.

5 MS. ROTH: Alright. My current age is 42.
6 I live in the Washington, DC area, and I now own my
7 family's home furnishing business with my older
8 sister that our parents started in 1991. When I
9 was diagnosed in the fall of 2019, I was originally
10 going to undergo standard-of-care treatment, which
11 was chemotherapy, followed by radiation and surgery
12 and the DC area. I was referred to Dr. Paty, a
13 surgeon at MSK, through a mutual friend who had
14 been treated a year or two prior, and it was
15 serendipitous that this path brought me to MSK.

16 I quickly learned through genetic testing at
17 Sloan that I had Lynch syndrome, which put me in a
18 situation where standard-care treatment, while
19 being the only option at the time, would not have
20 been a treatment path that would have worked well
21 for me. While sitting in Dr. Paty's office, I was
22 told that standard chemotherapy does not respond

1 well with Lynch patients, and surgery was not an
2 option based on the location of my tumor, with it
3 resulting in life-altering changes. I was then
4 quickly introduced to Dr. Cercek and her team, and
5 came to find out I was a perfect match for their
6 trial, which was awaiting FDA approval. I put all
7 my faith in Dr. Cercek and her team, and waited a
8 few months until I got the call about 2 months
9 later that the trial had been approved and my
10 treatment could start.

11 As the way the trial was originally written,
12 I was to undergo 6 months of immunotherapy,
13 followed by radiation paired with a chemo pill, and
14 if needed, surgery would follow. As I started
15 treatment, I was absolutely amazed that
16 immunotherapy did not alter my everyday life. I
17 could go to New York and back and still continue on
18 with my life the way it always was, working out,
19 running a business, and not being compromised by
20 all the toxic effects of standard chemotherapy that
21 I had witnessed other family members experience
22 during their cancer treatment.

1 Seeing as I worked in DC, I would travel to
2 and from DC to New York every 3 weeks for infusion.
3 At the end of the 6 months of immunotherapy, I made
4 all of my arrangements to move to New York City for
5 the greater part of the summer, while I would
6 undergo chemoradiation.

7 On the Friday night, before my move to New
8 York City, I got a call from Dr. Cercek that there
9 was no need for me to come. The scans that were
10 done after my final immunotherapy treatment showed
11 absolutely no sign of cancer. I was officially
12 cancer-free, and Dr. Cercek and her team found no
13 need to radiate my body without any sign of cancer.
14 This was not only a relief because I was getting my
15 summer back and I could stay in the comfort of my
16 own home with friends and family close by, but this
17 meant not undergoing radiation and surgery, which
18 would have lifelong effects on my body.

19 For women that chose not to get an ovarian
20 transposition to move the ovaries out of the
21 radiation field, patients could immediately go into
22 menopause. The ability for women to even carry a

1 baby after radiation would not be an option through
2 the likely scarring of the uterus. There would
3 also be damage to likely your bladder, sexual
4 function, and the list continues. For me, the
5 greatest gift was being told I was cancer-free, but
6 knowing I would no longer need to radiate my body
7 was a huge relief.

8 Being as I was the first patient in the
9 trial, nobody knew how this would play out for all
10 the other patients behind me, but each and every
11 patient had complete remission. While I continue
12 to go back to MSK for scans regularly, I feel
13 wonderful. I have no scarring or lifelong issues I
14 need to deal with, but I just have my story to
15 share in hopes that we can gain access for other
16 patients out there just like me. Most stories do
17 not end this way, and I owe measuring
18 [indiscernible] amounts of gratitude to the work of
19 Dr. Cercek and her team.

20 I want the greatest takeaway from my story
21 to be not only that I was given the gift of this
22 trial, but I was given the gift of achieving

1 remission without the toxicity of chemo or the
2 scarring effects of radiation and/or surgery.
3 Thank you to everybody for your time, and for
4 giving me the opportunity to share my story. My
5 hope is that we can share many more stories like my
6 own, and people not only in the U.S., but all
7 around the world can celebrate their remission as
8 well. Thank you for your time.

9 DR. GARCIA: Thank you.

10 Will speaker number 2 please begin by
11 stating your name and any organization you are
12 representing for the record?

13 MS. BONITO: This is Kelly Bonito. I have
14 no financial disclosures here from Memorial Sloan
15 Kettering.

16 I am 31 years old and live in Bradley Beach,
17 New Jersey. My journey with cancer begins with my
18 diagnosis. I was diagnosed with rectal cancer
19 while living in New Jersey, which was soon after
20 moving across country from the west coast and
21 8 months after having my son. After my diagnosis
22 and doing some research regarding treatment, we

1 decided that being treated at Memorial Sloan
2 Kettering in Manhattan was my best option. Once at
3 MSK, I was quickly diagnosed with stage III
4 colorectal cancer at 28 years old.

5 During my first visit with the colorectal
6 surgeon, I was informed that I most likely would
7 never be able to carry another baby because of the
8 damage that would be caused from the radiation.
9 Initially, the traditional FOLFOX treatment was
10 recommended that included chemotherapy, radiation
11 with chemotherapy and surgery. There were three
12 major events with this treatment that would alter
13 my life forever. My uterus would be rendered
14 useless, a colostomy bag, and a significant amount
15 of pain to endure. Thankfully, we had time to work
16 with fertility to harvest my eggs, fertilize, and
17 freeze embryos in hope to expand our family in the
18 future.

19 My treatment date was looming, and I had
20 appointments with a multitude of doctors at MSK.
21 During one appointment, I was approached by a
22 research nurse with the suggestion of an alternate

1 treatment plan. They had evaluated my tumor type,
2 and determined that I was a match and candidate for
3 a drug that was in clinical trial. Instead of
4 chemotherapy, radiation, and surgery, I elected to
5 go forward with the alternative option of a
6 clinical trial immunotherapy treatment. The
7 possible side effects were described as far less
8 painful. This sounded a lot better than the
9 traditional treatment, but radiation and surgery
10 were still on the table at that time.

11 I am patient number 4 in the trial you are
12 speaking about today. After my port surgically was
13 inserted, I started treatment in March. By the
14 second treatment 3 weeks later, I felt 10 times
15 better. The tumor was shrinking, alteration was
16 closing, and my impacted bowels were beginning to
17 clear and re-regulate. By my fourth treatment, I
18 was told that my tumor had reduced by 50 percent,
19 and by my last treatment in August, my tumor had
20 disappeared, and I was declared in remission.
21 Thankfully, I did not have to go through radiation
22 or surgery. It was a true miracle.

1 I firmly believe and am extremely grateful
2 for the opportunity to receive immunotherapy
3 treatment. This clinical trial and research by the
4 medical community gave me a second chance at life.
5 The immunotherapy provided a medical intervention
6 that did not cause side effects and pain that
7 chemotherapy, radiation, and surgery would have
8 caused in the process.

9 I'm so happy to report that I'm currently
10 16 weeks pregnant with a baby girl that is the
11 result of the fertility experts with an embryo
12 transfer. Without this clinical trial treatment, I
13 would have experienced life-altering events that
14 would have not given me the quality of life I now
15 have for myself and my family.

16 Being diagnosed at 28, making treatment
17 decisions, and having an option has taught me so
18 many life lessons. I know that colorectal cancer
19 is on the rise in young adults, and I hope this
20 clinical trial will be available to many others in
21 the near future. I'm eternally grateful for this
22 life-changing opportunity.

1 In addition, I appreciate the opportunity to
2 provide my story to you, as I hope it helps you
3 understand why an option like this is important to
4 many other people like me, people who want to live
5 the life they wish to live as a cancer survivor.
6 For my husband and I, that's traveling as much as
7 we can with our family to show our toddler, our new
8 baby, how beautiful Mother Nature is, to teach them
9 about our country and the environment, and about
10 kindness along the way. Thank you all so much for
11 your time.

12 DR. GARCIA: Thank you.

13 Will speaker number 3 please begin by
14 stating your name and any organization you are
15 representing for the record?

16 DR. ZUCKERMAN: Thank you so much. Can you
17 hear me?

18 DR. GARCIA: Yes, we can.

19 DR. ZUCKERMAN: Thank you.

20 I'm Dr. Diana Zuckerman, president of the
21 National Center for Health Research. Our nonprofit
22 research center scrutinizes the safety and

1 effectiveness of medical products, and we don't
2 accept funding from companies that make those
3 products, so I have no conflicts of interest.

4 My perspective is based on postdoctoral
5 training in epidemiology and public health; my
6 previous policy positions at congressional
7 committees with oversight over FDA; my previous
8 position at the U.S. Department of Health and Human
9 Services; and as a faculty member and researcher at
10 Harvard and Yale. I'm also a founding board member
11 of the Alliance for a Stronger FDA, which is a
12 nonprofit coalition that urges Congress to provide
13 sufficient appropriations so that FDA can do its
14 very important job.

15 On a personal note, a close family member
16 recently died of rectal cancer, and I am well aware
17 that this is a terrible disease, and the standard
18 treatment is toxic. A less toxic, equally
19 effective treatment is urgently needed. I find the
20 research promising, but there are too many
21 unanswered questions that two small, single-arm
22 trials can't answer.

1 Designing a randomized-controlled trial now
2 is our best chance to answer these important
3 questions. These questions will be impossible to
4 answer if the drug is approved for this indication
5 a few years from now, based on the proposed
6 studies, because patients are much less willing to
7 participate in a randomized-controlled trial for a
8 drug approved for the same indication.

9 I have three points. Number one, the
10 sponsor proposes two open-label single-arm trials.
11 You've heard that it may not be feasible to do a
12 randomized trial. I'm sure it would be difficult,
13 but this specific disease is not so rare that it's
14 impossible. It would be a mistake to give up on a
15 well-designed study without even trying.

16 There are patients who can only afford good
17 treatment in the context of a clinical trial or who
18 are afraid to deviate from a well-established
19 standard of care when there are no long-term
20 overall survival data for the experimental
21 treatment. The people recruiting patients for the
22 trial would need to clearly explain to patients why

1 both arms of the randomized trial are good options,
2 a proven treatment versus a promising but unproven
3 treatment. The standard-of-care arm can be smaller
4 than the experimental arm, but the study should be
5 randomized.

6 Number two. As previous speakers have
7 specified, rectal cancer patients who are treated
8 at the best, high volume medical centers have much
9 better outcomes than other patients. Memorial
10 Sloan Kettering, for example, is not an average
11 cancer center; it's one of the best in the country,
12 and this is another reason why a randomized trial
13 of a representative sample is so important.

14 My third point. Patients deserve to be able
15 to make treatment decisions based on meaningful
16 clinical outcomes. That's why a solid study design
17 is so important. Overall survival is the key
18 outcome, and quality of life is as well. The new
19 treatment doesn't need to be superior to standard
20 of care, but it does need to be proven to be at
21 least as good.

22 And beyond the specifics of this FDA

1 decision, let's think of the big picture. When FDA
2 allows single-arm trials, it sets a dangerous
3 precedent. Future sponsors will try to follow that
4 precedent by also demanding single-arm trials, and
5 FDA will be pressured into making randomized trials
6 optional instead of required. And as we all know,
7 without an appropriate control group, it's not
8 possible to provide the type of evidence that
9 patients and doctors need to make informed
10 decisions. Even relatively small studies with a
11 somewhat smaller randomized-controlled group is
12 better than a single-arm trial. Thank you so much
13 for the opportunity to speak today.

14 DR. GARCIA: Thank you.

15 Will speaker number 4 please begin by
16 stating your name and any organization you are
17 representing for the record?

18 DR. COHEN: Thank you. Good afternoon. My
19 name is Dr. Steven Cohen, and I am a GI medical
20 oncologist at Jefferson Health Abington Hospital
21 and the Sidney Kimmel Cancer Center in
22 Philadelphia. I've been an oncologist for

1 20 years, and my practice is largely in the
2 community setting and focused on patients with
3 gastrointestinal cancer. I have served as an
4 advisor for GSK in the past, but I am not being
5 compensated for my time today.

6 As has been eloquently stated, rectal cancer
7 is a major health problem in the United States, and
8 the treatment for locally advanced rectal cancer,
9 which involves the full thickness of the rectum
10 and/or lymph nodes, has historically involved
11 surgery. Over the years, chemotherapy and
12 radiotherapy have been utilized to improve outcomes
13 in addition to surgery; and while potentially
14 curative, as we've heard quite eloquently, the
15 treatments have a large number of acute and chronic
16 side effects, including short-term diarrhea,
17 fatigue, and infection risk, as well as long-term
18 challenges with bowel function, pain, and sexual
19 dysfunction. Thus, the concept of a watch-and-wait
20 approach was developed with the recognition that
21 some patients with complete clinical responses to
22 chemotherapy and radiotherapy may not benefit or

1 require surgery.

2 The treatment of colorectal cancer, in
3 general, has been improved through the use of
4 molecular biomarkers and targeted therapies, and in
5 metastatic colorectal cancer, a small percent of
6 patients have tumors which are mismatched repair
7 deficient or MSI-high, and for these patients, the
8 initial use of immunotherapy improves outcome
9 compared to chemotherapy.

10 Given the benefit of immunotherapy in
11 metastatic colorectal cancer patients with
12 deficient mismatch repair tumors, a natural next
13 step was to evaluate it in the locally advanced
14 setting for patients with deficient mismatch repair
15 rectal cancer, and that was the foundation for the
16 initial dostarlimab experience in deficient
17 mismatch repair stage II/III rectal cancer, and the
18 results in that initial single-arm experience were
19 very provocative, albeit in a relatively small
20 group of patients. Essentially, all patients had
21 complete clinical responses and could potentially
22 avoid surgery. There may even be some patients

1 with deficient mismatch repair, locally advanced
2 rectal cancer who are cured, as we've heard, or can
3 have long-term, disease-free survival without
4 surgery.

5 That is what is so exciting to practicing
6 oncologists and patients alike about the proposed
7 GSK phase 2 study design to further evaluate
8 dostarlimab in a larger group of patients with
9 MSI-high deficient mismatch repair, locally
10 advanced rectal cancer across multiple sites. This
11 study has the potential to confirm the benefit of
12 this therapy in a larger group of patients and
13 across a number of different types of practices.

14 The data from the initial single-arm trial
15 were so provocative that patients are asking about
16 this therapy outside of a clinical trial.
17 Providers also feel this is a very promising
18 therapy, and may be more than tempted to treat with
19 immunotherapy outside of a clinical trial, and this
20 is all the more likely, with observations in
21 multiple diseases, that chemotherapy may be less
22 effective in MSI-high tumors.

1 Thus, the proposed single-arm, phase 2 study
2 is appropriate and reasonable and I think important
3 to move forward. While a randomized design against
4 the historical standard of chemoradiotherapy and/or
5 chemo would be another option, given the excitement
6 in the colorectal cancer patient and provider
7 community regarding the already seen benefit from
8 the pilot study, a randomized design would be very
9 challenging. It's very likely that patients would
10 enroll, and if randomized to standard therapy, drop
11 out to pursue immunotherapy outside of a clinical
12 trial, or providers would be tempted to treat with
13 other immunotherapy outside of a study. Given the
14 toxicities of chemotherapy and radiotherapy, it
15 would be extremely challenging for patients and
16 providers to accept a randomization between
17 chemoradiotherapy and immunotherapy.

18 The selection of community sites is an
19 important aspect of the trial design to document
20 the generalizability of this approach and findings
21 across practice sites. The majority of cancer care
22 in the U.S. is conducted at community oncology

1 practices, and the testing for mismatch repair is
2 quite standardized, and local results for mismatch
3 repair testing have been acceptable in U.S. NCI
4 trials evaluating immunotherapy in deficient
5 mismatch repair colorectal cancer.

6 Thus, as a practicing GI oncologist for
7 20 years, and now with a large community practice,
8 I strongly support the GSK phase 2 design of this
9 trial to evaluate dostarlimab in locally advanced,
10 deficient mismatch repair, MSI-high rectal cancer.
11 If this trial confirms the benefit of this agent in
12 this patient population in terms of high, complete,
13 and, importantly, durable clinical response rates,
14 it will certainly change the paradigm for treatment
15 of this challenging disease and potentially spare
16 many patients the toxicities of chemotherapy,
17 radiotherapy, and even surgery, while offering the
18 promise for long-term survival. Thank you very
19 much for your attention and the opportunity to
20 present.

21 **Clarifying Questions to Presenters (continued)**

22 DR. GARCIA: Thank you.

1 The open public hearing portion of this
2 meeting has now concluded, and we will no longer
3 take comments from the audience.

4 We will now take remaining clarifying
5 questions for all the presenters thus far. Please
6 use the raise-hand icon to indicate that you have a
7 question, and remember to put your hand down after
8 you have asked your question. Please also remember
9 to state your name for the record before you speak
10 and direct your question to a specific presenter,
11 if you can. If you wish for a specific slide to be
12 displayed, please let us know the slide number, if
13 possible.

14 As a gentle reminder, it would be helpful to
15 acknowledge the end of your question with a thank
16 you, and the end of your follow-up question with,
17 "That is all for my questions," so we can move on
18 to the next panel member.

19 DR. ABDULLAH: Dr. Garcia, it's Hesham
20 Abdullah from GSK. I was wondering if I can be
21 recognized just to follow up on some questions
22 before the break, if that's ok.

1 DR. GARCIA: Absolutely, Dr. Abdullah.
2 Thank you, and yes, please address Dr. Pazdur's
3 questions and comments.

4 DR. ABDULLAH: Thank you.

5 Hesham Abdullah, global head of oncology
6 development at GSK. I just wanted to maybe provide
7 some important information that's probably relevant
8 for the committee and the panel members to
9 consider, and maybe just to get at a couple of
10 questions that Dr. Pazdur had raised.

11 One specifically relates to the ability to
12 be able to conduct the randomized-controlled study
13 in colon cancer, and then the second, really, is
14 very much interrelated in terms of being able to
15 provide confirmatory evidence for an accelerated
16 approval that is potentially considered, or if
17 granted, based on the rectal cancer single-arm
18 data.

19 I'll start out first by highlighting, of
20 course, GSK's continued commitment and respect of
21 the accelerated approval regulations and, of
22 course, the confirmation of benefit in that regard

1 as well, too. So with that in mind, I would like
2 to highlight, of course, that both the single-arm
3 rectal cancer study, as well as the randomized
4 colon cancer study, would be done and conducted in
5 parallel, not in sequence. That is an important
6 clarification that I think we need to highlight and
7 consider.

8 Specifically, the rectal study, which is the
9 GSK sponsored phase 2 trial, would start
10 recruitment in March of 2023, so in just about a
11 month, and the colon study, the
12 randomized-controlled phase 3 trial, would actually
13 start recruitment in June of 2023. So as you can
14 probably tell, both of them will be run in parallel
15 and conducted through parallel tracks.

16 With that in mind, we're anticipating, of
17 course, data to emerge from the rectal study's
18 primary analysis for cCR12 in q1 of 2026; so that's
19 about maybe 32 months or so from when the first
20 patient is enrolled. And by that time, we
21 anticipate that the last patient in the colon study
22 will have received their first dose. I would

1 probably say that the majority of patients in the
2 colon study, by the time that the rectal study
3 reads out its primary endpoint, will have already
4 gone through surgery. So I think that is another
5 important clarification to make.

6 With that in mind as well, too, I think it's
7 probably something that we can think about,
8 consider, and probably have a discussion with the
9 FDA around; that once the data from the single-arm
10 rectal study, which will of course be pooled from
11 across both the MSK and the GSK sponsored trials,
12 are being considered for regulatory decision
13 making, we can certainly look at the data from the
14 colon study and its level of maturity to assess
15 whether or not it would be appropriate in terms of
16 timing to consider potentially interim data
17 analyses or looks.

18 But again, of course that'll be certainly
19 dependent on where we're at with treatment of
20 patients, follow-up, and of course, the maturity of
21 the results, and that is something that we're very
22 happy to address with the FDA as well, too.

1 So I think probably one of the things that
2 I'd just like to conclude with is, really, what's
3 important for us to remember? Why are we here?
4 Well, I would say that first and foremost, we're
5 here to really discuss what is a potential path
6 forward based on what are the preliminary data that
7 are being generated from the MSK trial. They're
8 certainly intriguing, yes, preliminary, but very
9 striking given the magnitude of effect, which is
10 important to highlight.

11 Second, we're looking at a biomarker-defined
12 population in rectal cancer that is an orphan
13 population, as you've heard from some of the
14 prevalence numbers quoted by Dr. Cercek. And then,
15 third, I would certainly highlight, of course, the
16 continued unmet need given the current standard of
17 care, which, based on some of the data Dr. Smith
18 presented earlier in the presentation, is looking
19 at possibly from the OPRA study what is a
20 35 percent clinical complete response rate in these
21 rectal cancer patients.

22 So we're looking for a large magnitude of

1 effect here in the single-arm rectal study, and I'd
2 like to maybe call on Dr. Smith to maybe just
3 comment on the benchmark of 35 percent for clinical
4 complete response rate from the OPRA trial, and his
5 experience in that regard as well, too.

6 DR. SMITH: This is Dr. Smith. I'd like to
7 just comment on some of the numbers that were shown
8 earlier in the presentation. Remember in OPRA that
9 the patients who had a clinical complete response
10 and near complete response were given the
11 opportunity for organ preservation, so the mature
12 data, we're able to demonstrate what's called
13 TME-free survival in that paper and in that
14 presentation.

15 In my presentation earlier, we were looking
16 at patients who had a clinical complete response
17 compared to near complete response. These are
18 patients who, if you look in the OPRA data, this
19 was about 38 percent of that group. This is
20 clinical complete response. These are the patients
21 who had the best disease-free survival at
22 84 percent. So this is where we make a very

1 conservative estimate of those patients who would
2 have a clinical complete response based on the data
3 that we have from OPRA.

4 DR. GARCIA: Thank you.

5 DR. KLUETZ: This is the FDA as well, but
6 let's see if Dr. Pazdur has a response.

7 DR. GARCIA: FDA, if you want to make a
8 comment or a question? Please proceed as well with
9 Dr. Pazdur.

10 DR. KLUETZ: Yes. Thank you, Dr. Garcia.

11 This is Paul Kluetz from the FDA, and I just
12 wanted to provide just one brief comment on context
13 because it's a very complicated space, so I want to
14 summarize a little bit.

15 The benefit of non-operative management is
16 reduced morbidity of surgery, as we've heard, but
17 the risk is progressing to inoperable or metastatic
18 disease. We've heard that the field has accepted
19 that the risk-benefit for a non-operative approach
20 is acceptable in some cases for patients who
21 achieve a clinical CR and in a select set of
22 treatment settings with multidisciplinary

1 expertise.

2 When we were talking about the endpoints, I
3 want people to think a little bit about the
4 difference here between the response rate we're
5 talking about in this setting and the response rate
6 we often talk about at ODAC because we do a lot of
7 metastatic settings. Clinical CR is very different
8 than objective response rate in the metastatic
9 setting. Here, clinical CR has some meaning in and
10 of itself. It's, in this setting, the objective
11 trigger to non-operative management, and the
12 subsequent delay, and avoidance of surgery, and its
13 complications. So I wanted to make sure that we
14 looked at this endpoint differently than we do, for
15 instance, with objective response rate in the
16 metastatic setting.

17 But again, the risk is missing the
18 opportunity for cure and progressing to inoperable
19 and metastatic disease. So these longer term
20 endpoints, DFS and OS, are intended for us to gauge
21 that risk of progression to inoperative, or
22 metastatic disease, or inferior survival, and as

1 has been mentioned, the challenge in interpreting
2 these 3- and 5-year endpoints is we don't have a
3 benchmark, particularly in this biomarker-defined
4 population.

5 So I hope that this context helps a little
6 bit as we discuss the next four discussion points,
7 and that ends my comment.

8 DR. GARCIA: Thank you, Dr. Kluetz.

9 Maybe I can just pick up on that comment.

10 DR. VLAHOVIC: Dr. Garcia, Gordana Vlahovic
11 here from GSK. Do you mind if I add a few more
12 thoughts to what we just heard?

13 DR. GARCIA: No, please go ahead, and I can
14 ask my question later.

15 DR. VLAHOVIC: Sure.

16 DR. GARCIA: Go ahead.

17 DR. VLAHOVIC: First of all, do you mind if
18 you can switch the slides? Thank you. I would
19 like to share a slide with you while I'm speaking
20 here.

21 Yes, indeed, clinical complete response is
22 different from ORR, which is in the metastatic

1 setting; however, in our design, and in the design
2 of MSK, we also have patients called and surveilled
3 very closely throughout the duration of the study,
4 which is 5 years. And if there is any sign of a
5 disease regrowth, tumor regrowth, patients will be
6 treated with standard of care, which includes
7 chemoradiation and, if necessary, surgery.

8 We know from the prior experience that
9 Dr. Smith has spoken to today that those patients
10 do as well as the patients who receive their
11 treatment upfront, and very importantly, even if
12 disease regrowth happens later, at 2 years, there
13 is also organ preservation and quality-of-life
14 preservation that lasts for 2 years. But overall,
15 when it comes to the risk to the early stage, we
16 believe that the way the study is designed and our
17 careful monitoring of the study, we'll definitely
18 be addressing that concern. Thank you.

19 More so, there is a small beta, a small
20 cohort, of the dMMR rectal cancer patients who were
21 treated with neoadjuvant chemoradiation, and
22 actually the complete response rate from that

1 cohort is about 27.6 percent, as you can see on the
2 slide, so that gives us some kind of a benchmark.
3 With us choosing actually 35 percent, the clinical
4 complete response as the benchmark from standard of
5 care, it's rather conservative compared to what we
6 have seen from the dMMR population. Thank you.

7 DR. GARCIA: Thank you.

8 Just to expand on Dr. Kluetz -- this is
9 Jorge Garcia -- it's hard for me to avoid thinking
10 as to how do we define surrogacy in cancer and when
11 we do drug development. I don't know if it's
12 semantics or it's just actually the lack of
13 statistical data to support the cCR12.

14 Everybody has been talking here about a
15 cCR12 as reasonably likely to predict OS, which is
16 a hard point for me to understand. So maybe I can
17 gauge the FDA and also GSK and their stats team in
18 how do you define surrogacy, and have we really
19 actually defined surrogacy where you follow
20 Prentice criteria or something else? Could you
21 both independently speak to that cCR as a true
22 surrogate marker for outcome improvement?

1 DR. KLUETZ: This is Paul Kluetz from the
2 FDA, if I can begin, Dr. Garcia?

3 DR. GARCIA: Please, Dr. Kluetz.

4 DR. KLUETZ: So we've been thinking about
5 the tumor-based endpoints more as intermediate
6 clinical endpoints than surrogates, especially in
7 this case where, as I said, it has meaningfulness
8 in and of itself in that it essentially is the
9 gateway to a clinical intervention that is a
10 de-escalation and has the benefit of decreased
11 morbidity and subsequent, potentially, even
12 mortality for some of these major surgeries.

13 So in this setting, we would be thinking of
14 this as more of an intermediate clinical endpoint,
15 but as I said, the risk here is the waiting for so
16 long that you may have an incurable scenario by the
17 time you catch it, and that's an important risk,
18 particularly for these younger patients, and really
19 any patient. And how we capture that is going to,
20 unfortunately, be a 3- and 5-year longer term
21 endpoint for which we have no concurrent control,
22 and I think the endpoint discussion is going to end

1 up probably in how are we going to really evaluate
2 3- and 5-year OS or EFS in a setting where it
3 seemed that a randomized trial, if not infeasible,
4 will be challenging to conduct.

5 DR. PAZDUR: If I could just follow up on
6 what Paul mentioned, I think we have to realize
7 that all clinical CRs may not be the same, and this
8 has to be analyzed. Is a clinical complete
9 response rate from chemoradiation the same thing as
10 a clinical complete response rate from an
11 immunotherapy not having radiation therapy? So I
12 think you have some discussion on that because they
13 may not be the same thing, and I think that is an
14 important point.

15 I don't think we could say that these are
16 true surrogates at this time with our limited
17 information, specifically with the immunotherapy at
18 hand since they really don't have any in this
19 disease, a long-term follow-up, so there are some
20 problems. And here again, I'm focusing not on all
21 of rectal cancer but on the CRs that come from
22 immunotherapy, this PD-1 inhibitor, and its

1 relationship to long-term outcomes. But I think
2 one has to make a distinction between a CR. Is
3 that from chemoradiation therapy? Does that have
4 the same meaningfulness? And it may be actually
5 better -- I don't know -- from immunotherapy.

6 DR. GARCIA: Thanks.

7 DR. VLAHOVIC: Gordana Vlahovic here again.
8 I would like to just address what I just heard
9 about the risk and wait. Just to make clear to
10 everyone, we are not going to be waiting. Patients
11 will be enrolled in the study, and those patients
12 who have any signs, as we are restaging patients
13 and monitoring patients, any signs -- clinical,
14 radiographic -- of disease progression will be
15 immediately treated, switched and be treated with
16 chemotherapy radiation, and surgery, if necessary.
17 Now also, the same path is going to be for the
18 patient that achieves clinical complete response
19 and if they have any tumor regrowth.

20 Now again, going back to the data that does
21 exist that I'm going to invite Dr. Smith to speak,
22 all the patients that actually had regrowth were

1 subsequently treated with TNT radiation, and their
2 long-term outcomes were similar to the patients'
3 outcomes who have been having that treatment,
4 standard-of-care treatment, upfront.

5 Dr. Smith, would you please just come to the
6 podium and say a few?

7 DR. SMITH: Hi. It's Dr. Smith again. I'll
8 just rehash the data from OPRA, and then also from
9 our own retrospective data from MSK, that patients
10 who had to undergo salvage TME, that we were able
11 to perform the same surgical technique that we have
12 done at the beginning should they have gone to TME
13 after the completion of therapy.

14 In addition, I would just like to call
15 attention to the point that patients who have
16 clinical complete response -- this is the point
17 about surrogacy of outcome, but we do have data
18 showing that in clinical complete response, there
19 is an association with disease-free survival in
20 OPRA data that I alluded to earlier. And I'll also
21 just call your attention back to the German trial,
22 where they looked at pathologic complete response

1 and its association with disease-free survival, and
2 these are mature data out of randomized studies.

3 So I think this is something we cannot
4 overlook. I'm sure you could point back to
5 retrospective data and say there are all the
6 limitations there, but we do have data from
7 prospective studies showing a very strong
8 correlation with response and very important
9 oncologic outcomes.

10 DR. GARCIA: Thank you.

11 Dr. Nieva?

12 DR. CERCEK: Sorry. This is Andrea Cercek;
13 if I can just add just a little bit of further
14 thoughts on this question.

15 DR. GARCIA: Sure. Go ahead.

16 DR. CERCEK: With regard specifically to a
17 cCR as we know it, which is achieved with
18 chemotherapy and chemoradiation, and whether this
19 cCR now with dostarlimab alone is equivalent, I
20 think as best as we can tell with the criteria
21 utilized to assess a clinical complete response,
22 the tumor has completely disappeared by endoscopic

1 exam biopsy, as well as by MRI, and thus the cCR
2 appears to be the same.

3 Importantly also, we're talking about two
4 cCRs here. One is at the completion of 6 months of
5 therapy, and then the cCR12 is actually after
6 12 months after the achievement of the first cCR.
7 And during that time period, the patients are
8 followed very closely every 4 months to ensure that
9 they are sustaining their cCR that they achieved
10 after treatment.

11 DR. GARCIA: Thank you, Dr. Cercek.

12 DR. FASHOYIN-AJE: Dr. Garcia, this is Lola
13 Fashoyin-Aje from the FDA. May I make a comment,
14 please?

15 DR. GARCIA: Please, go ahead,
16 Dr. Fashoyin-Aje.

17 DR. FASHOYIN-AJE: I just wanted to, again,
18 come back to my previous comment about really
19 making sure that we are all on the same page about
20 how we are interpreting the available data that we
21 have.

22 This notion that we have established a

1 relationship between cCR and DFS I think is one
2 that is not necessarily supported. We have data
3 from several analyses in quite different contexts
4 in terms of the population studied and treatments
5 administered that is based on a responder analysis.
6 So we don't know, really, what the relationship of
7 cCR, lack thereof, is to these longer term
8 outcomes. So I just want to put that on the table
9 here.

10 One question I do have for GSK is, based
11 upon the description regarding the enthusiasm for
12 the study, whether they foresee any difficulties
13 enrolling more than the proposed 100 patients that
14 they've described. Thank you.

15 DR. VLAHOVIC: Gordana Vlahovic here. We
16 propose a study with 100 patients, and certainly as
17 we initiate enrollment, we will keep monitoring to
18 deliver on all the necessary -- the subpopulations
19 that we are hoping, or trying, or we will do our
20 best to deliver in the meaningful numbers so we can
21 assess the benefit.

22 Would you please clarify the questions?

1 Were you asking would we be enrolling more than
2 100 patients?

3 DR. FASHOYIN-AJE: No. This is Lola
4 Fashoyin-Aje again. I am certain of what your
5 proposal is, which is to enroll 100 patients.

6 DR. VLAHOVIC: Yes, sure.

7 DR. FASHOYIN-AJE: I am asking whether you
8 anticipate having difficulty enrolling more than
9 100 patients onto this trial, because I think we
10 are really operating here in a data-free zone for
11 the most part. I mean, we have these preliminary
12 efficacy results, but we don't really know the
13 natural history of this particular population. We
14 don't know whether we would expect recurrences to
15 take longer to recur, or we don't have a lot of
16 information about subgroups within this
17 heterogeneous population.

18 So what I'm asking is, what are your
19 thoughts about the feasibility of enrolling more
20 than 100 patients?

21 DR. VLAHOVIC: We believe that we, actually,
22 with 100 patients, can answer our question. We

1 believe that 100 patients will provide us with a
2 precision in that confidence interval regarding
3 when we benchmark to 35 percent that we can
4 actually see the treatment effect that is
5 approximately doubled.

6 For instance, just as an example, 65 percent
7 being the lower bound, from that perspective, we
8 actually believe that 100 patients will be
9 sufficient to provide us with the information and
10 confidence that those dMMR/MSI-high populations in
11 locally advanced rectal cancer do benefit from
12 dostarlimab.

13 DR LEMERY: Hi. This is Steve Lemery. Can
14 I chime in? To follow up on those points, I think
15 we shouldn't be talking here about a benchmark of
16 35 percent. The reason why we're here is we've
17 seen so far 100 percent complete CR rate, and I
18 think if we see -- we'll put the number of patients
19 aside, but 5 years down the line, the complete
20 clinical response rate is 100 percent, and no
21 patient relapses, and I think everyone's super
22 happy. But at some point that's probably unlikely

1 to be the real-world situation, so I think we're
2 having some discussion about what decrement in that
3 would be concerning.

4 Then also looking at something like DFS,
5 there is some paucity on the long-term DFS of
6 standard therapy in patients with mismatched repair
7 deficient rectal cancer. So if we had, in theory,
8 a 100 percent DFS at 5 years, well, I think
9 everyone would probably acknowledge we're done.
10 But from almost a safety perspective, what is the
11 decrement in DFS at 3 years, at 5 years? That
12 would be concerning.

13 That's some of our points, and to probe
14 further on the number of patients, I talked about
15 T4 earlier, and I think that will be important to
16 have a sufficient number of patients with T4 or N2
17 or 3 lesions. Even from a safety perspective,
18 could there be a concern that a small number of
19 patients with T4 lesions will perf, which would be
20 a catastrophic event. If that occurs, we want to
21 be able to describe that in labeling of what is the
22 risk of a perf in a patient with a T4 lesion, for

1 example.

2 So I think there are a lot of uncertainties
3 here. I think everyone is excited, especially
4 based on the 100 percent, and no one that we know
5 of has relapsed so far. But ultimately, 3 and
6 5 years down the line, what kind of data package
7 would say, okay, we're comfortable with this data,
8 we're not putting patients at higher risk, and
9 we're not getting increased numbers of distant
10 metastases? Because we are talking about a
11 single-arm trial here, which will increase the
12 uncertainties at the end of the day.

13 DR. VLAHOVIC: Yes. I wanted to just share
14 DFS3 rates in locally advanced rectal cancer that
15 we have pulled, and I do have Dr. Smith here who
16 can speak to it. But I just wanted to bring it to
17 your attention, at least for DFS3, the benchmarks
18 that we identified on DFS3 in our particular case.

19 But going back to it again, I would like to
20 remind everyone of the data we are sharing, and
21 yes, there are data from retrospective studies.
22 There is a prospective study, one, OPRA, that we

1 mentioned today that is very important to note it's
2 coming from the population that is much more
3 heterogeneous than what we are actually targeting
4 in our study. So that's the population of
5 dMMR/NNMRC [ph].

6 Again, our population is homogeneous in that
7 sense, because it is biologically very similar. It
8 does have a biomarker for which we are selecting,
9 and it has a good history. When we select that
10 disease or when we select patients based on that
11 biomarker, response is susceptibility to
12 immunotherapy even in the metastatic setting.

13 I would like to remind everyone of patients
14 who are dMMR/MSI-high in the metastatic setting who
15 respond to immunotherapy, to PD-1 inhibitor, have
16 sustained responses, long-term benefits, and have
17 survival in a metastatic setting, where we would
18 expect patients actually to die within one year.
19 So in totality, I would also want to remind that
20 patients with dMMR/MSI high do have less benefit
21 from chemotherapy. So I will here invite Dr. Smith
22 to add on some additional perspectives on these

1 primary outcomes

2 DR. SMITH: These data are just shown to
3 demonstrate the disease-free survival rates in
4 relatively recent randomized trials that show that
5 76 to 70 percent is fairly representative of what
6 you would find in non-selected populations.

7 DR. VLAHOVIC: Thank you.

8 Now before we complete our response, I would
9 like to ask Dr. Abdullah also to end on a comment.

10 DR. ABDULLAH: Hesham Abdullah, GSK.

11 Dr. Lemery, I just wanted to respond to a
12 couple points that you raised as well, too, in
13 terms of the sample size of the study itself. I
14 mean, certainly from our perspective, we'd be happy
15 to work with you, with the FDA, around how to best
16 make sure that we have a patient population that is
17 certainly representative of key baseline
18 demographics and prognostic variables that is
19 enrolled into the study.

20 If that means that we might need to go
21 slightly over 100, I think it's something that we
22 can think about, consider, assess, evaluate, and

1 discuss with you further, and it's something that
2 we can certainly continue to monitor while the
3 study is ongoing and evaluate based on the
4 demographics of the patient population that we are
5 able to recruit just to make sure that we actually
6 recruit certainly a diverse population that is
7 representative of the disease and the various
8 prognostic variables associated with it as well; so
9 thank you.

10 DR. GARCIA: Thank you.

11 Maybe we can just allow Dr. Katsoulakis to
12 ask make your comment or ask a question.

13 Dr. Katsoulakis?

14 DR. KATSOULAKIS: I know a lot of the
15 discussion, really, for the cCR, as was previously
16 mentioned, is immunotherapy, the same as
17 chemoradiation. The long-term follow-up is really
18 what's missing and is going to be the key to
19 interpret all of this.

20 I guess data was shown that this study's
21 follow-up is similar to the OPRA study in terms of
22 the rigorous follow-up, and I know in the study

1 design there's an incomplete response initially.
2 They're tracked, I think, up to 8 weeks, and then
3 if they don't show or they don't come back, I guess
4 they go to chemoradiation. So far, all the
5 participants think they have had an excellent
6 response, but for the OPRA study, I wasn't sure if
7 anybody was aware of how many patients were lost to
8 follow-up.

9 I do have concerns in the community setting
10 what will happen to the patients that are, "Oh, my
11 stem [indiscernible] looks great, I'm cancer-free,
12 I'm not coming back," because we have encountered
13 patients like that, and in the GU setting, we don't
14 always put patients on active surveillance
15 protocol, and this is a completely different
16 disease. But if they're not going to come back,
17 sometimes we really advocate for a treatment for
18 them as opposed to a program that they're not going
19 to be able to follow.

20 So if you could comment on that, that would
21 be great. Thank you.

22 DR. ABDULLAH: If I understand your

1 question, you're asking what was the dropout rate
2 in OPRA as we followed them after TNT?

3 DR. KATSOULAKIS: Yes.

4 DR. ABDULLAH: Okay. All the patients were
5 followed throughout. There was nobody lost to
6 follow-up, so we would anticipate the same thing.
7 I think the distinction here is that I remember in
8 OPRA that we allowed patients who had a
9 near-complete response to then evolve to a clinical
10 complete response. In this study, we are going to
11 be very strict, using the same regression criteria
12 to only include patients who have a clinical
13 complete response.

14 DR. GARCIA: Thank you all.

15 DR. FASHOYIN-AJE: Dr. Garcia, this is Lola
16 Fashoyin-Aje from the FDA. May I make a comment
17 and suggestion?

18 DR. GARCIA: Yes. Perhaps we can take this
19 as the last comment/suggestion so we can move on to
20 our discussion session. So go ahead, please.

21 DR. FASHOYIN-AJE: Thanks.

22 Yes, that's exactly what I was going to

1 suggest. I think we really are very interested to
2 hear from the members of the committee. We are
3 really grateful that GSK and their invited guests
4 are able to share the experience at Memorial Sloan
5 Kettering, but we do want to hear from other
6 members of the committee about their thoughts on
7 the specific topics that we have posed to the
8 committee. Thank you.

9 **Questions to the Committee and Discussion**

10 DR. GARCIA: Thank you. Great introduction
11 to move on.

12 The committee will now turn its attention to
13 the task at hand, the careful consideration of the
14 data before the committee, as well as the public
15 comments. We will proceed with the questions to
16 the committee and panel discussions. I would like
17 to remind public observers that while this meeting
18 is open for public observation, public attendees
19 may not participate, except at the specific request
20 of the panel.

21 I will now read question number 1.

22 We're supposed to be finishing a little bit

1 over 5:30 or so, so maybe what we'll do, just for
2 ODAC committee members, there are four topics for
3 us to actively review and discuss among ourselves.
4 Ideally, we want to take this time to actually have
5 our own conversation rather than fishing back
6 comments and questions to either the applicant
7 and/or the FDA, but rather just to spend the time
8 talking about the subject at hand and also the
9 presentations that we heard today. We have a
10 talented group of people on the committee with GI
11 oncology expertise, so I'm hoping that we can be an
12 active group.

13 Question number 1, we can probably spend
14 around 15-20 minutes on on each topic,
15 [indiscernible], before we can vote. Question
16 number 1, I'm going to read it.

17 Discuss the adequacy of the proposed
18 single-arm trials to evaluate the efficacy and
19 safety of dostarlimab, including the long-term
20 benefits and risks of treatment.

21 Are there any issues or questions about the
22 wording of this question?

1 (No response.)

2 DR. GARCIA: If there are no questions or
3 comments concerning the wording of the question, we
4 will now open the question to discussion.

5 Maybe what I'll do -- alright, we have some
6 hands up.

7 Dr. Nieva, do you want to lead this
8 discussion?

9 DR. NIEVA: Sure. Jorge Nieva, USC Norris.
10 I think the finding of effectiveness here is
11 important when we're thinking about the single-arm
12 Study 219369 as the benchmark. I think the
13 benchmark for cCR12 really should not be
14 35 percent. If we're going to be defining
15 effectiveness with a single-arm study, I think,
16 really, 75-80 percent is really the benchmark that
17 we should be looking at.

18 I think the other concern I have is that
19 with single-arm data, there are bountiful
20 opportunities for bias to enter in data cleaning,
21 and I think there needs to be great vigilance to
22 prevent these biases from entering into the

1 clinical trial because we don't have a control arm.
2 There can be biases created in how radiographic
3 findings are interpreted by using, for example,
4 very strict criteria for the definition of
5 persistent disease rather than looser criteria. I
6 really think the radiographic review has to be
7 blinded and independent, and I'm concerned that any
8 training of radiologists could be biased in ways to
9 reduce declarations of less than CR.

10 I think central review of eligibility, as
11 they're doing with central review of MSI, generally
12 is good for internal validity, but it reduces
13 external validity; and lack of external validity is
14 really the risk we're all concerned about with
15 treatment paradigm. We're worried that rectal
16 cancer patients might be treated with this regimen
17 based on bad MSI assessments or will be treated in
18 centers where the multimodal treatment teams will
19 provide less than ideal follow-up.

20 So while I think that a single-arm trial
21 here is appropriate based on the extraordinary
22 preliminary data that we have, I do think we need

1 to build a confirmatory trial that minimizes bias
2 in favor of declaration of CRs, and I think we need
3 to use real-world determination of MSI, and we need
4 to enroll in smaller centers that maybe don't have
5 the multimodal teams. So how all that is executed
6 I think is going to be critical to be sure that we
7 can really believe the results of a single-arm
8 study. Thank you.

9 DR. GARCIA: Thank you.

10 Dr. Nieva, maybe I'll just push a bit on
11 your comment. Could you just expand on your
12 thoughts? You talk about maybe building a better
13 trial, a confirmatory trial. What do you mean by
14 that, based upon the challenges that clearly GI
15 oncology experts in the field appear to feel -- or
16 predict, if you will -- that we may not be able to
17 do such a trial in the future?

18 DR. NIEVA: So to clarify, Jorge, the
19 question is, what do I mean by the trial 2 or
20 trial 3?

21 DR. GARCIA: Correct, trial 2.

22 DR. NIEVA: Yes. In trial 2, there are lots

1 of things that are built into the current design of
2 trial 2 to maximize internal validity and maximize
3 declarations of CR. That could be done through
4 training of radiologists. My concern is that if
5 there are patients who don't achieve a CR on trial,
6 those patients are going to be doubly scrutinized
7 in order to find reasons to declare them
8 ineligible.

9 So I want to be sure that when we come up
10 with the rate of what the cCR12 rate is, which I
11 actually think is a perfectly appropriate endpoint
12 here, that we're doing this really based on an
13 intent-to-treat analysis as opposed to a refined
14 eligibility population. So I'm not asking that the
15 trial design be fundamentally changed. I'm simply
16 suggesting that we need to have safeguards in place
17 to prevent biases that are going to be prone to
18 overestimate a cCR rate by excluding progressors.

19 Does that make sense?

20 DR. GARCIA: Yes. Thank you for that.

21 Dr. Ciombor?

22 DR. CIOMBOR: Yes. I just wanted to make a

1 couple of comments about this discussion point and
2 perhaps from my experience, and to publicly
3 disclose I'm the national PI for a cooperative
4 group trial that is looking at a different
5 immunotherapy regimen in the same patient
6 population, in MSI-high locally advanced rectal
7 cancer.

8 From that experience, as well as my broader
9 experience as a GI oncologist treating mostly
10 colorectal patients, I completely agree with some
11 of the points that have been made by Dr. Cohen and
12 others that you really cannot do a randomized trial
13 here. As much as we love randomized trials, and
14 that would be the ideal, I think what has been
15 mentioned is completely accurate in the sense that
16 if you are randomizing to current standard of care,
17 these patients will either not enroll on the study,
18 afraid that they will be randomized to that arm, or
19 if they enroll and get randomized to the
20 standard-of-care arm, they will drop out. So you
21 won't actually get that question answered,
22 unfortunately, as much as we would love to see that

1 comparison.

2 We've actually experienced that with the
3 design of our trial and input from patient
4 advocates and others. So I think the single-arm
5 trial is kind of what needs to be done. We need
6 more data. We need more long-term follow-up, and
7 done in a a multi-institutional way, not only with
8 this potential trial, but others.

9 That was one of my first point, but I can
10 come back. There are a lot of hands up, so maybe
11 others wanted to comment as well.

12 DR. GARCIA: Thank you, Dr. Ciombor.

13 Dr. Katsoulakis, do you want to comment?

14 DR. KATSOULAKIS: Sorry. I think that was
15 an error. I apologize.

16 DR. GARCIA: Okay. Great.

17 Dr. Chang, then?

18 DR. CHANG: Great. Thank you so much. I
19 want to just comment on the question about the
20 adequacy of a proposed single-arm trial, and simply
21 say that given the response rate that we've seen in
22 the dostarlimab study that was presented by

1 Dr. Cercek -- so the study conducted at MSK -- and
2 other data that we see, including data that we
3 published from MD Anderson as well, the rate of
4 response is incredibly high. If it's not 100
5 percent, it's pretty darn near close to
6 100 percent. And for a primary endpoint of
7 clinical complete response, it's very hard to see
8 the rationale for a randomized design because
9 there's no other treatment that we have that can
10 achieve a clinical complete response rate even
11 close to that.

12 So notwithstanding all the great comments
13 made by everybody about whether or not patients
14 could be randomized or not, if there ever were a
15 study where it was appropriate to do a single-arm
16 trial, I think this would be it, because what we're
17 really talking about is exactly the comment that
18 Dr., I think, Nieva made earlier. There will be a
19 80 percent or higher rate of complete response. If
20 we were to do a power calculation, I don't think
21 you would need very many patients or patients even
22 able to be randomized. So I do think that it's

1 quite appropriate to address this in a single-arm
2 way. Thank you.

3 DR. GARCIA: Thank you.

4 Dr. Conaway?

5 DR. CONAWAY: Yes. Mark Conaway. I agree
6 with everything that's been said, and I think from
7 a statistical point of view, with the current
8 trial, there's a very impressive response rate, to
9 say the least, but with that, you have to ask are
10 there issues about how participant selection and
11 the treating institution affect that response rate,
12 and I'm concerned that with the single-arm trial,
13 we're going to be asking those same questions at
14 the conclusion of the trial that you could ask now
15 about the current trial.

16 Don't get me wrong. I understand completely
17 the difficulty in a randomized trial and understand
18 the weight of evidence for this agent, but ideas
19 have been floated here today. You don't have to
20 randomize 1-to-1 if randomization is completely
21 impossible. I've heard the ideas of constructing a
22 control group. You've got 43 sites right now and

1 100 participants, that means every site is going to
2 do 2 to 3 participants. There will be participants
3 at those sites who can't get on trial, and it seems
4 like they would be a natural control group.

5 So it just seems like the design has dropped
6 back from a 1-to-1 randomization, which might be
7 infeasible, all the way back to a single-arm trial
8 that might not answer the question, and I'm just
9 advocating for an exploration of some space in
10 between that will help answer some of the
11 questions.

12 DR. GARCIA: Thank you.

13 Is your suggestion, Dr. Conaway, to use the
14 screen failures, review of the patients with screen
15 fails, to get onto standard of care as controls?

16 (No response.)

17 DR. GARCIA: Dr. Conaway?

18 (No response.)

19 DR. GARCIA: Alright. Maybe we'll move to
20 someone else.

21 Dr. Vasani?

22 DR. VASANI: Hi. Neil Vasani. To echo

1 Dr. Nieva's comments about standardization and
2 implementation of cCR, really the implementation,
3 the sponsor had said that this is a stringent,
4 robust biomarker; however, it requires this
5 multimodal team coming together and making this
6 assessment.

7 So I think that showing some data for
8 standardization of this metric across disease sites
9 from large cancer centers to smaller hospitals will
10 be really critical for thinking about cCR as a
11 biomarker, and I'm somewhat reminded of, I think,
12 some of the discussion that this group has had
13 about path CR and the nature of path CR in other
14 disease contexts and other drugs as a biomarker.

15 Thank you.

16 DR. GARCIA: Thank you.

17 Dr. Madan and Dr. Park?

18 (No response.)

19 DR. GARCIA: Dr. Madan? You may be mute.

20 Ravi?

21 (No response.)

22 DR. GARCIA: I cannot hear him, so maybe

1 we'll move Dr. Park, and then we'll go back to
2 Dr. Madan.

3 (No response.)

4 DR. GARCIA: Maybe we're having some
5 technical glitch as we speak.

6 Dr. Lieu?

7 DR. LIEU: Sure. Can you hear me?

8 DR. GARCIA: Yes. Thank you.

9 DR. LIEU: I'll skip the line here.

10 This is Chris Lieu. I'm going to make this
11 relatively short. I agree with everything that's
12 been said in regards to the inability to make this
13 a randomized trial. I think that's been our
14 experience here at University of Colorado as well.
15 I've thought a lot about what the bar is to allow
16 single-arm studies, and if we're talking about a
17 cCR rate that was around 50 percent, somewhere
18 close to what we might be able to achieve with
19 standard of care, I think there it would be
20 inexcusable to not do a randomized trial, but just
21 because these response rates are so incredibly
22 high, I think that's where we're kind of at, at

1 this point, where this is likely our only option.

2 The last thing being, this data doesn't
3 exist in a vacuum, and Dr. Chang had mentioned data
4 from MD Anderson. We certainly have data from the
5 NICE [ph] studies that show the power of this type
6 of therapy and the setting, and I think that that
7 data needs to be considered an aggregate.

8 One might wonder, well then, is it possible
9 that we're just looking at a subset that's just
10 going to do well no matter what? And I think that
11 it's clear, at least the data that's available,
12 that is not the case with our standard therapy. In
13 fact, there's data to potentially the contrary,
14 where our standard therapies may not be as
15 effective. Therefore, that's the reason why I
16 think the study design is appropriate the way it
17 is. Thank you.

18 DR. GARCIA: Thank you.

19 Maybe what I'll do, I'll summarize some of
20 the key points for this question number 1, so we
21 can move on to question number 2, since many of us
22 also have been talking about endpoints and the

1 appropriateness of those endpoints as a bar.

2 So it appears that we all, or most of us, do
3 agree that it is impractical or maybe impossible to
4 do a randomized trial. One, obviously, as you may
5 have heard from our group from Vanderbilt,
6 Dr. Ciombor [indiscernible], that practically it
7 would be really hard to do but equally important,
8 just by virtue of the high response that was
9 observed in the Sloan Kettering data. We also
10 talked a little bit about the importance of
11 standardization, that endpoint of cCR across sites,
12 and the concerns, again, as to what we'll probably
13 talk again for the four points, which is
14 variability of how people are reviewing cCR.

15 Again, I think pretty much the group is
16 pretty impressed with the CR rates observed in this
17 specific patient population, so clearly it does
18 appear that for the purposes of this drug in the
19 context of where this drug has been assessed, that
20 it will be impractical to propose a randomized
21 trial.

22 So let's move on to question 2. I'm going

1 to read the question. The committee is asked to
2 discuss the adequacy of the proposed clinical
3 endpoints, complete clinical response rate,
4 event-free survival, to characterize and verify the
5 benefit of dostarlimab, including the proposed
6 timing for their analyses.

7 Are there any comments concerning the
8 wording of the question?

9 (No response.)

10 DR. GARCIA: If there are no questions or
11 comments concerning the wording of the question, we
12 will now open the question for the ODAC committee
13 to discuss.

14 I see Dr. Kunz. Do you want to start?

15 DR. KUNZ: Yes. I'm sorry for the delay.
16 This is Pam Kunz and happy to talk to this. I
17 agree with prior comments that have been made and
18 just have some suggestions or recommendations for
19 consideration of adding some stringent testing to
20 the endpoint, as was previously suggested.

21 The one comment is considering adding organ
22 preservation as either a primary or secondary

1 endpoint as per the international consensus
2 recommendations. I think we also have an
3 opportunity to really evaluate variability in
4 imaging perhaps through [indiscernible] and digital
5 images. I think that this has been raised a number
6 of times, that we may see some heterogeneity, and I
7 think this is really an opportunity for study and
8 should be considered as another perhaps secondary
9 or tertiary endpoint. Thank you.

10 DR. GARCIA: Thank you, Dr. Kunz.

11 Let's go back to Dr. Madan.

12 DR. MADAN: Yes. See if it works this time.
13 Can you hear me?

14 DR. GARCIA: Yes, we can hear you. Go
15 ahead.

16 DR. MADAN: Okay, great. Sorry about that.

17 This actually kind of dovetails with what I
18 was going to say with the first point, and that is
19 really that I just have a little bit of a concern
20 with the 12-month endpoint. I think we all know
21 that the response rates are high, and they're
22 really good, as was just alluded to, but this data

1 is immature, and I think we still don't know what
2 happens 3 or 4 years down the road, or at least 2
3 or 3, in an otherwise curable population.

4 So I understand the need to pick a time and
5 go with it, but I think the follow-up here is going
6 to be the key. That's going to be the ultimate
7 justification to validate whatever shorter-term
8 endpoints are used.

9 DR. GARCIA: Thank you

10 Dr. Park?

11 DR. PARK: Hello? Can you hear me now?

12 DR. GARCIA: Yes, we can. Please go ahead.

13 DR. PARK: Yes. I just have a similar
14 comment. I think this endpoint, cCR 12 months, is
15 inadequate. We are taking away known treatments
16 that can cure, when you look at all the other data,
17 and we're kind of extrapolating out to a
18 single-agent modality that has never been -- we
19 haven't seen anything like that.

20 So I agree. I think we should not base it
21 on that endpoint. If it was a different endpoint
22 that they were saying, maybe some of their

1 secondary endpoints -- I saw 3-year event-free
2 survival. We had 95 percent, and it's hard to run
3 a single randomized trial, but we'll use that, and
4 then I think maybe we can have a discussion about
5 accelerated approval. But for this early endpoint,
6 based on chemoradiation data, I have a lot of
7 trouble accepting that. Thank you.

8 DR. GARCIA: Just to push you a bit on your
9 thoughtful comments, cCR12 you think is inadequate
10 as an endpoint. What is the delta of that
11 difference that you're looking for? We heard quite
12 a bit as to what a cCR indicates in the long term
13 with regards to disease-free survival, in fact,
14 given overall survival.

15 Also, there was a lot of stress on the fact
16 that when people have local recurrences, they still
17 can go on and have salvage approaches, and if you
18 look at the outcome, even with the limited data
19 that we have, that we saw today, or we heard today,
20 it appears that outcome is not different compared
21 to those patients who moved forward with the
22 standard of care.

1 DR. PARK: Yes. My thoughts on that are
2 this is a phase 2 trial going for accelerated
3 approval. I think to have a more definitive thing,
4 I would definitely talk about randomization, but
5 just for a specific endpoint, because there's just
6 so much uncertainty, and to pack that all down on
7 another uncertain endpoint, we're just heaping
8 uncertainty upon uncertainty.

9 So I think we have to have just -- as to why
10 we can't use that endpoint, even if we switch it
11 later on, is because, number one, we're not going
12 to do a randomized trial for that. If we did, that
13 may be a little different. But because we're
14 talking about 100 patients, a new paradigm, lots of
15 uncertainty, we have to pick a better endpoint with
16 a very high bar that can maybe break through some
17 of that, grant accelerated approval, and then test
18 that in a randomized fashion. That's just the way
19 I was thinking about that to maybe forego some of
20 the uncertainty we have. We have to have a much
21 higher bar.

22 DR. MADAN: This is Ravi Madan, and I'd like

1 to add to that since we both had the same comment,
2 if that's ok.

3 DR. GARCIA: Sure. Go ahead.

4 DR. MADAN: I think the other thing is, the
5 data we have that highlights the path to the
6 12-month endpoint really comes from different
7 therapies, so we don't know that with immunotherapy
8 that that carries the same relevance, and that gets
9 back to the question of are there non-MSI nests
10 that are left behind and what are the clinical
11 outcomes in that situation.

12 So I think it's encouraging and I'm
13 comfortable using that as a best known at this
14 moment, but it still is not exactly the data that
15 we need to have confidence, 100 percent, in this
16 endpoint. And again, I think we're used, on this
17 committee, to talking about incremental benefits of
18 progression or survival. I mean, this is a curable
19 population, so as has been said many times, the bar
20 needs to be high.

21 DR. PARK: I agree. Thank you.

22 DR. GARCIA: Thank you.

1 DR. Kunz, do you have another comment or
2 question?

3 DR. KUNZ: No. My apologies. That was left
4 over.

5 DR. GARCIA: Alright. No worries.

6 Dr. Nieva?

7 DR. NIEVA: I think the endpoint here is
8 actually a good one. I don't see waiting out
9 3 years or 4 years to be something that we
10 necessarily need to do. I think it's a predictive
11 endpoint in that regard. My only concern is that
12 there be some kind of validation that the
13 radiographic reads and endoscopic interpretations
14 are independently scored, and not only scored by a
15 single entity, and I think that's a pretty easy
16 change to make. Thank you.

17 DR. GARCIA: Thank you.

18 Dr. Chang?

19 DR. CHANG: Yes. Thank you. I would agree
20 with Dr. Nieva that this is a good endpoint. There
21 is ample data about the excellent prognosis in
22 patients who achieve a complete response with our

1 current treatment modalities. Notwithstanding the
2 very good concerns and comments that have been
3 raised about is it the same, if it's immunotherapy
4 versus traditional therapy, I guess we don't quite
5 know that answer right now.

6 If this were a randomized design where dMMR
7 patients are randomized to conventional treatment
8 versus an immunotherapy-based approach, I would
9 hypothesize that we would have a pretty dramatic
10 difference. We would certainly have a dramatic
11 difference for clinical complete response, but we
12 would also anticipate a pretty dramatic difference
13 in subsequent event-free survival.

14 So actually in my comment, I actually have a
15 question as well, and procedurally for the FDA. If
16 this is granted accelerated approval based on a
17 clinical complete response rate, will there be
18 subsequent opportunities to then monitor that
19 event-free survival, and that would then result in
20 a modification of that accelerated approval?

21 It certainly seems that given what we know,
22 achieving clinical complete response will be

1 expected to be associated with all of the more
2 favorable outcomes that we'll see, and so if we
3 compare the rates of failure with standard
4 treatment versus what we see in patients who are
5 complete responders, I anticipate there would be a
6 pretty large difference there. But the question
7 has to do with, if approval's granted based on
8 this, what mechanisms exist for monitoring that
9 subsequent event-free survival? Thank you very
10 much.

11 DR. PAZDUR: This is Dr. Pazdur. The
12 event-free survival would have to be determined by
13 an external control because you don't have a
14 control here. So that's why I was pressing the
15 company, and they agreed to perhaps give us more
16 information and develop an external control here.
17 One would hope that given the big effect that we're
18 seeing on this clinical complete response rate,
19 that this would be much greater and obviate some of
20 the problems that we see with using external
21 controls.

22 DR. FASHOYIN-AJE: This is Lola

1 Fashoyin-Aje. I'd like to expand on Dr. Pazdur's
2 comment.

3 I think the approval decision, if it were to
4 come to that, would really be mostly based upon the
5 endpoint here, this clinical complete response
6 rate, and I think any additional data that we
7 collect long term, and the various mechanisms that
8 have been proposed here, may provide some
9 supportive information.

10 I think we want to know that patients who
11 are recurring aren't having adverse outcomes
12 compared to what would be expected with standard of
13 care, for example, but those data would really be
14 supportive. We don't anticipate that they would
15 result in independent endpoints due to some of the
16 limitations that we discussed earlier.

17 I think any sort of external control data
18 comes with a lot of concerns that is probably too
19 much to get into in the context of this meeting, so
20 we would have some reservations about the utility
21 and the role that those types of data would have in
22 our assessment of the effectiveness of this

1 therapy, but it could be something that's better
2 than nothing, so we would certainly take a look at
3 more specific detailed proposals.

4 DR. GARCIA: Thank you.

5 I'm going to ask a question to our ODAC
6 panelist, specifically to Dr. Conaway, and while he
7 processes my question, we can go on to another
8 panel member.

9 Dr. Conaway, we're talking about maybe
10 defining or finding an external control that can be
11 used to contrast the endpoints or the benefits that
12 this therapy may lead to in this specific patient
13 population. From a statistics perspective, I'm
14 wondering what would be the best way to build that
15 external control, and maybe if you can think as to
16 how would you counsel/ask ODAC committee members to
17 think through that design just to see if we can
18 really actually see what is the true delta of that
19 difference, since clearly we won't be able to
20 actually have long-term follow-up data addressing
21 the question as to is a true cCR a true predictor
22 of outcome improvement in the long term.

1 So while you think of that, maybe I can ask
2 Mr. Majkowski do you have any comments?

3 MR. MAJKOWSKI: Yes. Thank you. This is
4 Paul Majkowski from New York. I'm sitting as the
5 patient representative, and I just really wanted to
6 circle back. We're discussing endpoints. I just
7 wanted to really circle back on the quality-of-life
8 issues.

9 So I'm 8 years out. I had standard of care.
10 I went through the whole sequence of everything,
11 and the quality-of-life issues are very real. So
12 as we've been having this discussion, I've been
13 thinking to myself if I had it to do all over
14 again. I guess one of the takeaways that I'm
15 thinking of, again, is really circling back on the
16 quality-of-life issues. I won't try and state that
17 the 12-month cCR -- I would say, "Well, that sounds
18 good to me," because this sounds like such a
19 positive development in terms of addressing the
20 quality-of-life issues, as I understand the regimen
21 and the study, and even if this were to ultimately
22 become a treatment, there's always the fallback of

1 returning to standard of care if there was not a
2 response evident.

3 I just wanted to circle back to focus a
4 little bit on that, all the quality-of-life issues
5 and how important that would be, or how important I
6 think that is in trying to move forward.

7 DR. GARCIA: Thank you for your thoughtful
8 comment.

9 Dr. Lieu?

10 DR. LIEU: Yes. This is Chris Lieu. I just
11 want to highlight just one point in regard to
12 cCR12. When you look at the International Watch
13 and Wait Database, there's really promising data of
14 a correlation between cCR and DFS with the MSK
15 data, but when you look at the International Watch
16 and Wait Database, if you're looking at local
17 regrowth, 64 percent diagnosed within 1 year,
18 88 percent within 2 years, and then distant
19 metastasis only 11 percent within 1 year, and
20 54 percent within 2 years.

21 Even though the cCR12 is actually 18 months
22 from the time that somebody enters into the study,

1 the question is, is that enough time? To echo some
2 of the points made by Dr. Park and Dr. Madan,
3 you're kind of lumping two unknowns at the same
4 time. We assume that cCR is a surrogate for DFS,
5 and potentially even OS, but we don't know that for
6 sure, and we also don't necessarily know the
7 natural history of these patients.

8 So that's my only concern in regard to
9 cCR12. We make a lot of assumptions, and I think
10 they're going to be right about what that means for
11 our patients, but we don't know that for sure.

12 Then when you look at an external control or
13 utilization of real-world data, I think that that
14 will help clarify some of the natural history of
15 what these patients experience with standard of
16 care that's obviously fraught with all kinds of
17 different biases.

18 One of the things that I think we should
19 keep in mind is that we assume that these patients
20 are going to have really, really great responses
21 based off our preliminary data in the prior study,
22 along with many of the other studies that have also

1 been done in this space with immunotherapy. So
2 really, with any type of external control, you just
3 want to make sure that this patient population
4 wasn't all cured with standard-of-care therapy,
5 where the incredible numbers that we're seeing in
6 this group and in the trials that we've seen to
7 date are just what's going to happen with these
8 patients.

9 I would just make the point again that from
10 what we've seen thus far, there's no data to
11 support the fact that all these patients get cured
12 with standard-of-care therapy, so the comparator
13 here, it will be important to understand that not
14 all these patients are doing great, and some of the
15 data that we're seeing preliminarily is pretty
16 impressive.

17 DR. GARCIA: Just to expand on your
18 thoughts, Dr. Lieu, I think the bigger question
19 often -- and again, you do these on a daily basis
20 in your GI oncology practice. But if the question
21 right now is, can I actually put someone in a
22 clinical CR that for some may be cure, for some may

1 not, and still there's a chance for recurrence; but
2 also because of that, you're delaying the time to a
3 morbid intervention that clearly causes significant
4 detriment in quality of life; and if you salvage or
5 rescue those patients with the standard of care, I
6 think the bigger question for me is, if I can delay
7 the time to a morbid approach, and at the end, my
8 outcome, one, appears to be any different than if I
9 had started with a morbid approach from the
10 beginning, would the time of quality of life be
11 important to our patients if it doesn't really
12 change the clinical outcome?

13 You know what I mean by that?

14 DR. LIEU: No. Absolutely. If you take the
15 example to the extreme -- let's just say in every
16 single patient, all we're doing is just delaying a
17 time to surgery, that's not necessarily
18 insignificant in terms of quality of life, and I
19 think this is where quality-of-life metrics in a
20 study like this are so critically important because
21 of the morbidity of some of the interventions that
22 we're proposing. Then if you kind of take it

1 halfway and just say, well maybe half the patients
2 that otherwise would have received surgery and
3 radiation did not receive surgery and radiation,
4 then there's a benefit already there.

5 I think what we want to make sure of is that
6 you're not delaying patients a curative operation,
7 and then they all have distant metastasis. Again,
8 I do not think that that is the case. There's no
9 evidence to suggest that that's the case, but
10 that's where an endpoint of event-free survival at
11 3 years becomes so critically important.

12 So I think it's the marriage of those two
13 things together to show that complete clinical
14 response does lead to this incredible improvement
15 in event-free survival at 3 years. And then, of
16 course, what's happening concurrently here is the
17 confirmatory phase 3 study, which will also
18 partially answer some of these questions.

19 DR. GARCIA: Thank you.

20 Dr. Conaway, any thoughts on building
21 external controls?

22 DR. CONAWAY: Well, it's a hard question for

1 the few minutes I had to ponder this, but the easy
2 answer is, the natural control would come out of a
3 randomized trial. But if that is not done, then
4 I'm not sure there is an answer to the question of
5 what's the best way to do that?

6 It sounds like GSK had considered some
7 options for constructing a control group, but no
8 matter what, if you're going to do a single-arm
9 trial, you need something to compare those results
10 to, historical controls, contemporaneous controls,
11 collected in some way with structured data
12 collection. You need to be able to put the study
13 in context. So I don't know if I have specific
14 advice about the best way to do that.

15 DR. GARCIA: Thank you for your honest
16 opinion. I'm sorry to put you on the spot.

17 Let me summarize some of the comments and
18 thoughts that the group has had, and if anybody
19 wants to add, please feel free to do so.

20 It does seem that the theme from the group
21 is we have a need to add additional endpoints into
22 these clinical trials. Dr. Kunz mentioned adding

1 organ preservation-based endpoints. We also heard
2 the importance of quality-of-life endpoints that
3 really, really are key to really ensure the success
4 of these approaches, as we noted morbidities of
5 standard of care.

6 Also, some in the group felt that cCR at
7 12 months was inadequate, but yet the other group
8 of people also felt that it was sufficient by
9 virtue of the high rates that we have seen, at
10 least in the preliminary data, from the Sloan data,
11 which is supported by many other data out there
12 within the international community and also within
13 the United States.

14 But clearly, the biggest challenge that we
15 all appear to have is the long-term outcome
16 improvement and whether or not you really are
17 leading to outcome improvements with that cCR, and
18 you may be missing some people who are not cured
19 and the potential for local recurrences, and
20 therefore, distant metastasis. Obviously,
21 one-third of our patients with locally advanced
22 rectal cancer still succumb to their disease, so

1 clearly if it's made in that context.

2 Did I miss anything else, team?

3 (No response.)

4 DR. GARCIA: Alright. Let's move on, then,
5 to question number 3.

6 Ms. Bhatt, I'm wondering if we can have
7 question number 3 on the screen? Thank you.

8 This one is a bit hard for me to understand
9 specifically. Maybe I'll ask some advice from the
10 FDA as to how they want us to handle that or
11 specifically what they're asking us to debate or
12 discuss here. And that is, discuss the study
13 population with the stage II and III locally
14 advanced rectal cancer, MMR deficient, MSI-high
15 unstable, for non-operative management approaches.

16 So if I could just have the FDA to clarify
17 exactly what do you have in mind with this
18 question. Is it the differences between the stage
19 specifically and the differences that we saw in
20 this long data with less than 20 or 22 percent of
21 patients with T2 disease?

22 DR. FASHOYIN-AJE: Yes. This is Lola

1 Fashoyin-Aje from FDA. I'm happy to clarify. I
2 think what we are asking the committee to weigh in
3 on is whether or not there are subpopulations
4 within this entity of locally advanced rectal
5 cancer for whom we expect disease recurrence to be
6 higher based upon the degree of invasion, so T4
7 tumors or the presence of nodal metastases, such
8 that we want to make sure that those patients are
9 adequately represented in the database that is
10 brought forth to FDA for us to render a
11 benefit-risk assessment for the entire locally
12 advanced rectal cancer population.

13 Does that clarify?

14 DR. GARCIA: Yes. Thank you.

15 DR. FASHOYIN-AJE: Thank you.

16 DR. GARCIA: Are there any comments or
17 questions concerning the wording of this question?

18 (No response.)

19 DR. GARCIA: If there are no further
20 comments or questions concerning the wording of the
21 question, we will now open this question for
22 discussion.

1 DR. FASHOYIN-AJE: I'm sorry, Dr. Garcia.
2 This is Lola Fashoyin-Aje again. Just a quick
3 addition here.

4 DR. GARCIA: Sure.

5 DR. FASHOYIN-AJE: I think the other is
6 consideration for patients with Lynch syndrome for
7 whom we know they have a higher risk of having
8 additional or subsequent tumors, and whether or not
9 there's any consideration for whether or not a
10 non-operative management approach would or would
11 not be appropriate. So we just want to hear
12 discussion and your thoughts on that. Thank you.

13 DR. GARCIA: Great. Thank you.

14 One of the things that caught my eye was,
15 obviously, the difference between MMR deficient in
16 MSI-high patients between stage II and stage III.
17 And if I heard correctly, it does appear that as
18 you develop more advanced disease -- probably in
19 this case nodal disease -- that genotype changes
20 and goes lower, at least statistically speaking.

21 So I don't know. For the GI oncology
22 members in the group, what are your thoughts as to

1 those differences between stage II and stage III
2 when you dissect the data that was presented today?
3 Maybe I'll pick on Dr. Kunz. You can help me start
4 that discussion.

5 DR. KUNZ: Hi. Sure. It's Pam Kunz; happy
6 to start. I think it's critically important that
7 those data be collected. I guess the question is
8 whether or not the design should allow for or
9 include preplanned subgroup analyses. I think,
10 obviously, if it's not randomized, we can't do
11 stratification factors, but I think evaluating for
12 Lynch syndrome and also including the patients of
13 T4 disease will be important.

14 I guess the question is how can we ensure
15 that enough patients from the representative groups
16 are included, but that may be difficult. I think
17 it's fine to include both stage II and stage III.

18 DR. GARCIA: Thank you.

19 Dr. Ciombor?

20 DR. CIOMBOR: Yes. I just wanted to make a
21 comment that I think you also have to be careful,
22 especially with the MSI-high disease, in that

1 sometimes radiographic assessment can overestimate
2 stage. We tend to see that more with colon than
3 probably rectal, and it's also another reason why I
4 think, actually, the colon study will not be as
5 helpful for a host of different reasons -- that
6 being one of them -- to getting the answer here.

7 But I would take the staging with a grain of
8 salt because sometimes we see these really
9 aggressive, terrible-looking cancers, and they wind
10 up at resection even without neoadjuvant therapy,
11 being actually not as bad. Obviously, that doesn't
12 happen as much in the rectal population, but still
13 a possibility.

14 DR. GARCIA: Since I know you were also,
15 obviously, quite involved in the development of the
16 cooperative trial that you described earlier, did
17 you guys see the same challenges when you were
18 thinking as to the ideal patient population and how
19 to stratify?

20 DR. CIOMBOR: No. I think it was pretty
21 straightforward for us as we were thinking about
22 this design just because stage II and III, we

1 wanted to keep it as inclusive as possible, but
2 also because we didn't really think that there was
3 dramatically different prognoses with stage II
4 versus stage III as opposed to a non-MSI-high
5 rectal cancer. Of course, that was an assumption
6 and a hypothesis, but we felt that stage II and III
7 would be a reasonable group to analyze together
8 after immunotherapy.

9 DR. GARCIA: Thank you.

10 Dr. Chang?

11 DR. CHANG: Thanks. I do think this is a
12 reasonable population, but to more specifically
13 respond to the FDA question, it often can be quite
14 difficult to distinguish between stage II and
15 stage III because lymph node evaluation on the
16 clinical examination, including with high-quality
17 MRI, has much more limited accuracy than what we
18 once thought. There are many other factors that we
19 do look for on the preoperative evaluation that are
20 probably more prognostic, such as the presence of
21 vascular invasion or lateral pelvic lymph node
22 involvement, et cetera.

1 So I would say that it's certainly
2 appropriate to look at the stage II-III population,
3 and arguably, I could see investigators locally
4 trying to upstage stage I patients so that they
5 could be eligible. Arguably, that's a group of
6 patients who are most easily treated with this kind
7 of an approach, so that would be one thing to
8 consider, is actually including stage I,
9 considering this does not involve radiation or
10 chemotherapy, it is immunotherapy, which does not
11 carry the same level of toxicity as the traditional
12 approaches.

13 I would say that there's a population of
14 patients, certainly those who have adjacent organ
15 involvement and certainly with MSI-high tumors. We
16 can have very locally advanced tumors. As the
17 comment was made, radiographically, often even
18 after response, we may not see the same level of
19 radiographic response despite the fact that there
20 will be a pathologic complete response, and that's
21 certainly something that's well known about MSI
22 tumors.

1 What I would say is, especially for those
2 tumors that are quite locally advanced such as T4B
3 disease, one could argue that those patients do
4 stand to benefit the most from an approach that
5 might allow us to avoid or defer the need for
6 surgery. So I would not feel that that's a
7 population that would not be eligible for a study
8 of this kind of design. Thank you.

9 DR. GARCIA: Thank you, Dr. Chang.

10 Dr. Vasani?

11 DR. VASANI: Yes. It just seems like so much
12 of the discussion that we've had so far has been on
13 just the small numbers of patients with this
14 disease. Dr. Cercek had mentioned 2.7 percent
15 based on the New England Journal IHC paper. It
16 just seems like even if there was a randomized
17 trial, trying to parse out some of these
18 differences with T4 and some of these clinical
19 subsets might still be quite challenging just given
20 the overall rarity of this entity.

21 DR. GARCIA: Thank you.

22 Dr. Ciombor, do you have an additional

1 comment? I see your hand up.

2 (No response.)

3 DR. GARCIA: I guess no.

4 If there are no additional comments or
5 questions, it does seem -- does anybody want to
6 comment about the Lynch syndrome question?

7 Dr. Lieu, I don't know if you have
8 experience with those patients.

9 DR. LIEU: This is something where I would
10 actually like Dr. Chang to discuss his management
11 as well because sometimes these patients do go on
12 to total colectomy, depending on what's going on,
13 and patients' own individualized risk of developing
14 cancer. In regards to inclusion of that population
15 in this study, I don't personally have any concerns
16 at all, but there are times where these patients do
17 go on to have surgeries, but that's just to prevent
18 future cancers.

19 George?

20 (No response.)

21 DR. GARCIA: Dr. Chang, do you have any
22 comments?

1 (No response.)

2 DR. GARCIA: Alright. We'll move on then.
3 Maybe we can piggyback with Dr. Chang if he gets
4 unmated.

5 It does seem that it's a pretty
6 straightforward discussion. Everybody felt that
7 stage II and III seems to be a reasonable patient
8 population. There were not many red flags to
9 include, or not include, patients with Lynch
10 syndrome. Granted, there may be some surgical
11 considerations for these patients due to the nature
12 of their disease and the possibility of new
13 recurrences within the colon.

14 The group also felt that it would be
15 difficult to stratify patients based upon staging
16 just by virtue of the single nature of these
17 trials, and clearly we heard strong opinions as to
18 the importance of critically staging these patients
19 not only before treatment, but certainly after they
20 complete therapy due to the variability of what
21 they see objectively and what one may find
22 pathologically.

1 Let's move forward with question number 4.

2 DR. CHANG: Dr. Garcia?

3 DR. GARCIA: Yes? Who's this?

4 DR. CHANG: This is George Chang.

5 DR. GARCIA: Okay. Go ahead, George, if you
6 have any comments.

7 DR. CHANG: Yes. Sorry. The system wasn't
8 unmuting for me. I just wanted to respond to
9 Dr. Lieu's comment and also the specific question
10 about Lynch.

11 I think what's behind that question is by
12 not resecting, are we increasing the patient's risk
13 for metachronous tumors? Certainly, there are some
14 people who would advocate for a more extended
15 resection, a prophylactic proctocolectomy, if you
16 will, for patients with Lynch. There is actually
17 pretty good data that would suggest that with
18 adequate surveillance, depending upon the
19 individual patient characteristics, actually from a
20 quality adjusted life expectancy perspective, that
21 in many situations, a more limited resection for
22 patients with Lynch syndrome combined with ongoing

1 surveillance is as good, if not associated with
2 better quality adjusted life expectancy.

3 So I agree with Dr. Lieu. I would not have
4 a concern about Lynch patients. Obviously, we'll
5 need to undergo ongoing surveillance. The
6 potential quality-of-life benefit, particularly for
7 rectal cancer patients, is even greater, so that
8 would not be a concern. Thank you.

9 DR. GARCIA: Thank you for those comments.

10 Yes, I agree. I will predict that that
11 patient population would be one that will be
12 super-super excited to enroll in clinical trials of
13 this nature, just by what you're describing. Thank
14 you.

15 Ms. Bhatt, if we can move to question
16 number 4.

17 This one, I think, is probably to me one of
18 the most important topics because there's no doubt
19 that most of us do agree that this agent has
20 efficacy, has safety, but certainly some of the
21 concerns that the entire committee have expressed
22 individually and collectively relate to the

1 variability of care and how people are going to be
2 staged, how people are going to be followed, and
3 the quality of care. And Dr. Ng eloquently also
4 presented data of what really happens outside major
5 academic centers with high volume for this
6 particular disease.

7 I'm going to read the question. The
8 question for the committee is for us to discuss the
9 potential impact of the variability in care,
10 expertise, and the like, across multidisciplinary
11 study staff and across study sites on study
12 conduct, and ultimately on outcomes.

13 Are there any questions or comments
14 concerning the wording of the question?

15 (No response.)

16 DR. GARCIA: If there are no questions or
17 comments concerning the wording of the question, we
18 will now open this question for discussion.

19 Dr. Ciombor?

20 DR. CIOMBOR: Yes. Can you hear me?

21 DR. GARCIA: We can.

22 DR. CIOMBOR: Okay. I'm back. Great.

1 My thought on this is that while this is
2 certainly a challenge, I think this is the
3 challenge for non-operative management of rectal
4 cancer in general and what we face moving forward.
5 I think there will be disparities and differences
6 in the ability to do that, based on resources and
7 expertise. But I don't think that is a negative
8 for this study. I think, if anything, you'll
9 probably get the best assessment by these sites.

10 The issue is when you move it, if it becomes
11 a standard of care at some point, or as it's being
12 used off-label, or immunotherapy, in general, being
13 used off-label for this instance, I think that's
14 where you get into trouble. But I don't think that
15 that's a detriment to the study necessarily, though
16 I do agree the surveillance needs to be rigorously
17 done in a very careful ongoing assessment for
18 patients.

19 DR. GARCIA: Thank you.

20 I think any of us who claim to have disease
21 expertise in a particular area always continue to
22 believe that complicated cases really need to be

1 treated by disease experts. And I don't want to
2 sound demeaning to our community in North America,
3 but it is fair to say that probably 65 --
4 two-thirds of the patients with cancer in the
5 United States are not being treated at large
6 academic centers but right in community sites, and
7 by great doctors as well. But I think that it
8 raises the question for this specific patient
9 population -- which is not that common, for that
10 matter -- of whether or not these patients
11 ultimately would be better cared for by going to a
12 center of excellence with high volume.

13 Dr. Madan?

14 DR. MADAN: Yes. Obviously, there are
15 clinical implications here for the patients, and
16 that's a front-of-mind concern for everybody. But
17 beyond that, this again gets back to the whole
18 12-month clinical CR component. And if there are
19 inconsistencies in how these multidisciplinary
20 assessments are going to be made through the course
21 of the trial, then 12 months might be too early.
22 They might become much more clinically apparent

1 given the variabilities and the multidisciplinary
2 approach at later time points.

3 So again, it just highlights to me why I
4 think that even though you want to choose the
5 12-month clinical CR, the follow-up is really going
6 to have to be strong and rigorous to validate that.
7 Thank you.

8 DR. GARCIA: Thank you.

9 Dr. Kunz?

10 DR. KUNZ: Yes. I agree this is a really
11 important question, however, I think that it's
12 really important to maintain some heterogeneity of
13 the sites in terms of community practices and
14 academic practices, especially because we don't
15 want to limit access to care. We don't want to
16 limit the diversity of our patients. I think
17 instead, as others have already stated, really
18 increasing the rigor of how we both educate and
19 define these criteria, and perhaps that goes to the
20 GSK team really thinking about what types of
21 supports are going to be provided to the study
22 sites to do this. But I think that we need some

1 real-world elements in this. And then I actually
2 really think that that needs to be part of the
3 study, as I mentioned previously. Thank you.

4 DR. GARCIA: Dr. Kunz, after hearing you, I
5 cannot avoid thinking of, well, okay, that's great.
6 We do need heterogeneity across America, and it is
7 fair to say that most of our patients really don't
8 want to come even to facilities that have main
9 campuses and/or regional practices. They want to
10 get their care -- they expect sophisticated care,
11 compassionate care, access to the best available
12 treatment, even access to research strategies in
13 community and at regional sites.

14 But the reality of that is we also know the
15 complexity of delivering their care, and what I'm
16 worried sometimes as I hear you -- and it's not a
17 criticism of your statement; it's just that it
18 makes me wonder if that heterogeneity will
19 ultimately lead to suboptimal outcomes, and can we
20 afford to have suboptimal outcomes in a patient
21 population that, number one, can achieve cure, and
22 number two, that given the opportunity, if you are

1 a patient and you're told that your outcome is
2 going to be drastically improved if you drive
3 and/or travel to a center of high volume, that most
4 people will actually look and decide in a different
5 way.

6 DR. KUNZ: Yes. It's a very good point. I
7 think this is really the balancing act that we need
8 to strike with this study. I think it
9 was -- what -- 45 sites are planned, so it's not
10 going to be an unlimited number of sites, and there
11 will be some level of control over that, and
12 perhaps if community sites are selected, there are
13 ones that have conducted clinical research
14 previously. But I think we need to have some
15 ability to demonstrate that this has some
16 real-world applicability, but I think the devil's
17 in the details in terms of determining what these
18 criteria are, how we educate, and how that gets
19 built into the study.

20 DR. GARCIA: Thank you. I think to your
21 point, I think the JANUS trial, as Dr. Smith so
22 eloquently stated, helps to also provide that

1 real-world experience and follow-up. I think the
2 bigger question, again, is the timing of when these
3 trials are going to be completed.

4 Doctor Lieu?

5 DR. LIEU: I'm just going to completely,
6 obviously, agree with what Dr. Kunz has already
7 said, and just add on to that. I think that this
8 is truly intention to treat, and the guardrails
9 here are really within the protocol. And I'll be
10 honest with you. With non-operative management, I
11 worry more about the coordination of the follow-ups
12 than I necessarily do about the expertise that it
13 takes to do a flex sig and see if there's a scar in
14 biopsy, yet there may be some heterogeneity in
15 terms of how people read MRIs, depending on how
16 frequently a site does that; and then, obviously,
17 reading CT scans is pretty standard across the
18 country.

19 So with a protocol, I have very little
20 concerns. I always worry in real life about these
21 patients just falling off the surveillance schedule
22 because it is quite intense, but that won't be a

1 problem in regards to this particular study. Then
2 on top of that, this is essentially where the field
3 is moving, and I think our community sites are
4 having more and more experience with this, so
5 hopefully the care will race to meet this kind of
6 new paradigm that we're having to deal with fairly
7 quickly.

8 DR. GARCIA: Thank you, Dr. Lieu. I agree.
9 I think that in the protocol, I think most of us
10 will be comfortable the way the trial has been run,
11 and also the sites they're going to be activating
12 and participating in. I think the bigger question
13 comes, again, as a group, is what happens when this
14 agent is out there, after trials, whether it's
15 approved or used off label, and that is, obviously,
16 a concern that one would have.

17 Dr. Nieva, what are your thoughts? You are
18 in a place where there's this variability in
19 access. Obviously, you have major academic
20 centers, [indiscernible], in southern California to
21 northern California. How do you think this will
22 play in the west coast?

1 DR. NIEVA: I think the west coast is like
2 any other place, and for me the issue is going to
3 be variability and biomarker testing. And we need
4 to recognize that there is going to be maybe 5,
5 maybe 10 percent of people with rectal cancer who
6 wind up having a false positive MSI assay on the
7 basis of local testing, who are going to receive
8 this therapy, and it's likely to have zero clinical
9 benefit from them because of the biomarker problem.

10 So I think the biggest harm and the biggest
11 risk from this approach is going to be that because
12 there's going to be variability in the quality of
13 pathology, both IHC and molecular pathology, that
14 we're going to treat some people incorrectly. And
15 I think it's going to be very important in this
16 clinical trial that the magnitude of that harm is
17 quantified so that we understand that part of the
18 risk of using this strategy is going to be that you
19 shouldn't have gotten it in the first place. So I
20 think it's going to be important that trials that
21 get executed really do an analysis of the
22 intent-to-treat population and not simply the final

1 refined population. Thank you.

2 DR. GARCIA: Thank you.

3 Dr. Ciombor?

4 DR. CIOMBOR: Yes. I think when it comes to
5 MSI testing or MMR, I actually think it's becoming
6 pretty ubiquitous. And I hope this is not my
7 academic bias, but I don't feel like the test is
8 often wrong. I feel like the biggest challenge is
9 getting it done in the first place, especially with
10 rectal cancer biopsies being limited, and tissue,
11 and sometimes having to do repeated biopsies to get
12 invasive disease tested. So I'd be curious to hear
13 if others are having more difficulty with incorrect
14 results, either false negatives or false positives.

15 DR. GARCIA: Dr. Ciombor, when you talk of
16 limitations of tissue, certainly in malignancies
17 there's a concordance, which is pretty high,
18 between a liquid biopsy, if you will, and that
19 tumor tissue. How do you feel about that
20 limitation of tissue? Do you feel comfortable or
21 do you feel that the variability could also be if
22 you don't have access to material?

1 DR. CIOMBOR: I think that's the biggest
2 issue, is access to tissue, because in the
3 localized setting, you're not necessarily doing
4 NGS, or liquid biopsies, or other things because
5 often it's just not paid for. So you're really
6 depending on the rectal biopsy, generally speaking,
7 and that can be limited, or it can just be
8 difficult to make the diagnosis. It can look like
9 dysplasia and not invasive disease, so it can be
10 tough to make that diagnosis of MSI-high.

11 DR. GARCIA: Any of our GI medical
12 oncologists? Can anybody comment as to the
13 variability of biomarker testing and whether or not
14 that's going to be a challenge when this gets
15 rolled out?

16 DR. CIOMBOR: I will make one more comment
17 before anybody else chimes in, in that the
18 dostarlimab data has been extremely good for PR and
19 for our patients. So many patients now ask about
20 their biomarker status, where they didn't before,
21 so I think the word is getting out that this is
22 important. Obviously, it's not everywhere, but, I

1 think that we're moving in the right direction at
2 least.

3 DR. GARCIA: Thank you.

4 Dr. Nieva, you have an additional comment?

5 DR. NIEVA: Just remember, there's going to
6 be places that are going to be rural communities
7 where this is still going to be done under IHC
8 conditions by local pathologists. There's going to
9 be variability where this is going to be getting
10 done in very small hospitals. Rural hospitals in
11 particular, the strategy is going to be incredibly
12 popular because then you have this feeling like you
13 don't need to travel to a multidisciplinary center.

14 So I think that even in the Memorial Sloan
15 Kettering experience, we had recognition that there
16 were some patients where the initial MSI was
17 positive, and then it was not on further testing.
18 So I think there's going to be lots of communities
19 where there's going to be pathologic variability.
20 I think the last paper I looked at on this subject,
21 the AUC is somewhere in the 0.91 range across
22 different assays, which is good, but it really

1 means that there's going to be some people treated
2 with this that are going to get harmed. Thank you.

3 DR. GARCIA: Thank you, Dr. Nieva.

4 So perhaps if I can summarize this question,
5 clearly there are some concerns about variability
6 in the biomarker testing, differences between IHC
7 and molecular path, with the limitations of tissue
8 material from those rectal biopsies. I'm not sure
9 that we have agreed that a lot of people are not
10 doing genomic testing. I haven't seen real-world
11 data, but most people in America with oncologic
12 issues are requesting genomics, but it's possible
13 that, again, depends on where you are. Some people
14 are still not actively engaged in that process.

15 It seems that there is an expectation that
16 it is important for us to continue seeing
17 heterogeneity, not only in access but also just to
18 document what really would happen in the real world
19 outside of a clinical trial for these patient
20 populations. We also agreed on the importance of
21 education, the importance of how are you going to
22 train the community not only on protocol but in the

1 future as well, how to define a clinical complete
2 response, and looking at endoscopic evaluations,
3 MRIs, and the like. There clearly appears to be a
4 big difference between protocol life and real life.

5 If there is no further discussion on this
6 question, we can move on and begin the next
7 question.

8 We will now move on to question number 5,
9 which is a voting question. Ms. Rhea Bhatt will
10 provide the instructions for the voting.

11 MS. BHATT: Thank you, Dr. Garcia.

12 Question 5 is a voting question. Voting
13 members will use the Adobe Connect platform to
14 submit their votes for this meeting. After
15 Dr. Garcia has read the voting question into the
16 record, and all questions and discussion regarding
17 the wording of the vote question are complete,
18 Dr. Garcia will announce that voting will begin.

19 If you are a voting member, you will be
20 moved to a breakout room. A new display will
21 appear to submit your vote. There will be no
22 discussion in the breakout room. You should select

1 the radio button, the round circular button in the
2 window that corresponds to your vote, yes, no, or
3 abstain. You should not leave the "no vote" choice
4 selected. Please note that you do not need to
5 submit or send your vote. You only need to select
6 the radio button that corresponds to your vote.
7 You will have the opportunity to change your vote
8 until the vote is announced as closed. Once all
9 voting members have selected their vote, I will
10 announce that the vote is closed.

11 Next, the vote results will be displayed on
12 the screen. I will read the vote results from the
13 screen into the record. Next, Dr. Garcia will go
14 down the roster, and each voting member will state
15 their name and their vote into the record. You can
16 also state the reason why you voted as you did, if
17 you wish to.

18 Are there any questions about the voting
19 process before we begin?

20 (No response.)

21 MS. BHATT: If not, we can move on to
22 question 5 for the voting question.

1 DR. GARCIA: I just lost my screen, but I'm
2 going to do this with the document. I'm going to
3 read the question. This is a voting question.

4 Will the data from the proposed single-arm
5 trials, enrolling a total of 130 patients, be
6 sufficient to characterize the benefits and risks
7 of dostarlimab in the curative-intent setting for
8 patients with mismatched repair deficient dMMR and
9 microsatellite instability-high locally advanced
10 rectal cancer?

11 Are there any issues or questions about the
12 wording of this question?

13 (No response.)

14 DR. GARCIA: If there are no questions or
15 comments concerning the wording of the question, we
16 will now begin the voting on question 5.

17 DR. FASHOYIN-AJE: A couple of people have
18 their hands raised.

19 MS. BHATT: Yes.

20 DR. GARCIA: Alright. Go ahead.

21 DR. KUNZ: Hi. It's Pam Kunz.

22 DR. GARCIA: Someone has a question? Go

1 ahead, Dr. Kunz.

2 DR. KUNZ: Great. Thank you.

3 This is Pam Kunz. I have a question about
4 the question just in terms of does a yes answer
5 imply that we agree with the proposed current
6 endpoints or does it allow for suggested
7 modifications to the endpoint that has been
8 discussed by the committee? Thank you.

9 DR. GARCIA: Would the FDA like to --

10 DR. FASHOYIN-AJE: Would you like me to
11 clarify?

12 DR. GARCIA: That would be great, if you
13 can.

14 DR. FASHOYIN-AJE: Great. This is Lola
15 Fashoyin-Aje. I think what we're asking you is to
16 really comment on whether the totality of the
17 proposal that we've been discussing is adequate. I
18 think if you find it mostly adequate but there are
19 some areas where you'd like to see changes made,
20 then your vote would be a no. I think we've heard
21 a lot about the single-arm trial design, which
22 seems to be acceptable to most, so there are other

1 aspects of this question that you may want to
2 specifically comment on. Thank you.

3 DR. GARCIA: Thank you.

4 Are there any additional questions or
5 comments related to the wording of this question?

6 (No response.)

7 DR. GARCIA: If there are no questions or
8 comments concerning the wording of the question, we
9 will now begin the voting on question 5.

10 MS. BHATT: We will now move voting members
11 to the voting breakout room to vote. There will be
12 no discussion in the voting breakout room.

13 (Voting.)

14 MS. BHATT: You have 15 seconds before the
15 vote closes.

16 (Pause.)

17 MS. BHATT: The vote is now closed. We will
18 momentarily return to the main meeting room.

19 (Pause.)

20 MS. BHATT: The voting has closed and is now
21 complete. Once the vote results display, I will
22 read the vote results into the record. Dr. Garcia

1 will go down the list, and each voting member will
2 state their name and their vote into the record.
3 You can also state the reason why you voted as you
4 did, if you wish to.

5 There are 8 yeses, 5 noes, and zero
6 abstentions.

7 (Pause.)

8 MS. BHATT: Dr. Garcia?

9 DR. GARCIA: Thank you.

10 We will now go down the list and have
11 everyone who voted state their name and vote into
12 the record. You may also provide justification for
13 your vote, if you wish to.

14 We'll start with Dr. Lieu?

15 DR. LIEU: This is Chris Lieu, and I voted
16 yes. Obviously, in this setting, as has been
17 discussed, I don't think a randomized study is
18 feasible given the presentation of the existing
19 data and patients' overall goals and expectations.

20 I will clarify, I do have some concerns
21 about the use of complete clinical response at
22 12 months as the definitive endpoint mainly because

1 I don't think that there's a clear correlation,
2 although there's a suggestion that there's a
3 correlation between complete clinical response and
4 disease-free survival and distant metastasis rates.
5 That's just my only concern in regards to the
6 complete clinical response rate as a definitive
7 endpoint.

8 I do think the endpoint of event-free
9 survival at 3 years, which is a secondary endpoint
10 of the study, will be critically important just to
11 show that correlation, but overall, I believe that
12 the study as designed will provide the data needed
13 for accelerated approval. Thank you.

14 DR. GARCIA: Thank you.

15 Mr. Mitchell?

16 MR. MITCHELL: Yes. Thank you. I'm David
17 Mitchell. I voted yes. If the frequency of
18 disease is as small as the discussions today
19 suggested, it's probably difficult, but not
20 impossible, as Dr. Lieu just said, to accrue a
21 randomized standard control arm, even a small one,
22 as one of the public commenters suggested.

1 Second, given the initial very positive
2 data, I do think it's difficult to get patients to
3 enroll in a control given the irreversible
4 toxicities, and lifestyle, and extreme
5 quality-of-life sacrifices. And finally,
6 accelerated approval is for patients, and this
7 feels like a conditioned and a potentially enormous
8 step up in care for patients that's worth going
9 with the current proposed trial design, not waiting
10 3 to 5 years.

11 DR. GARCIA: Thank you, Mr. Mitchell.

12 Dr. Katsoulakis?

13 DR. KATSOULAKIS: Hi. Yes. I voted no,
14 just on the fact I wish there were some of the
15 modifications that were discussed during our sense
16 of discussion here, [indiscernible]. I apologize.
17 I was trying to pull up a study we had performed in
18 the VA. There are discordances I see in NGS
19 testing, but we do have ability to sequence many
20 patients, but there is a substantial discordance.

21 For the biomarker testing, the 3-year
22 event-free survival I think is a more meaningful

1 mark for these patients, and if we just rely on the
2 12-month cCR, and then we can't go back on
3 accelerated approval, that might be an issue. And
4 that often is with a lot of the accelerated
5 approvals for the drugs, then a subsequent
6 follow-up that kind of goes by wayside. So I did
7 have some concerns about that, and possibly using
8 this marker in other sites [indiscernible] a
9 defense entity, and what would that mean for the
10 future and the landscape of oncology.

11 I think this is a a great opportunity. I
12 would have just liked to have seen a little more
13 regulation in terms of the design, but otherwise I
14 hope this drug is promising, and I just wish it had
15 a higher threshold and more of the intention-
16 to-treat kind of discussion that was had earlier.
17 Thank you.

18 DR. GARCIA: Thank you.

19 Dr. Chang?

20 DR. CHANG: Yes. I'm George Chang, and I
21 voted yes. I think Chris Lieu very eloquently made
22 all the comments that I would make. I do think

1 that monitoring that 3-year event-free survival
2 will be very critical, but given the compelling
3 nature of the current data, I think this warrants
4 moving forward. Thank you.

5 DR. GARCIA: Thank you.

6 Dr. Park?

7 DR. PARK: Yes. I voted no. I think
8 extrapolation from the chemoradiation data to
9 single-agent immunotherapy is a little too early,
10 and I think the cCR endpoint is also inadequate.
11 Those are the main reasons for voting no. Thank
12 you.

13 DR. GARCIA: Thank you.

14 I'm Jorge Garcia, and I voted no. I
15 literally voted no because I think the question
16 literally and grammatically was clearly stated,
17 whether or not this data was sufficient, and I
18 don't believe this data is sufficient.

19 I do believe this agent has great safety,
20 has efficacy, and has a pretty impressive clinical
21 complete response with the current data, and I do
22 believe there's a huge opportunity for us to delay

1 for some maybe never having to have the morbidity
2 of a surgical chemorad or surgical approach.
3 However, I do not believe the data that we have and
4 the data that has been proposed by the applicant is
5 sufficient to characterize the benefits and risk in
6 the curative-intent setting for this patient
7 population. Thank you.

8 Dr. Nieva?

9 DR. NIEVA: Jorge Nieva, USC. I voted yes.
10 Will the data be sufficient? Yes, I think it will.
11 But will the analysis be sufficient? That part I'm
12 not so sure for all the reasons Dr. Katsoulakis
13 stated. There's going to be variability in the
14 biomarker, and we're going to be defining the
15 enrolled population down to the eligible
16 population, and I think potentially misinterpreting
17 the data that we get. We're not going to be
18 liberal in the radiographic definitions of what is
19 persistent disease; we're going to be very strict
20 about that. And because of that, also we make this
21 study less valid to the external world if it's
22 interpreted that way.

1 So I think the design and the data we get is
2 going to be sufficient, but I do think we need to
3 be very careful in the analysis that's finally
4 done. Thank you.

5 DR. GARCIA: Thank you.

6 Dr. Ciombor?

7 DR. CIOMBOR: Yes. This is Kristen Ciombor.
8 I voted yes. While the proposed studies certainly
9 won't answer all of our questions about the optimal
10 use of immunotherapy in MSI-high locally advanced
11 rectal cancer, I think they'll provide additional
12 data to determine whether the initial pilot results
13 are generalizable given the multi-institutional/
14 multinational nature of the proposed studies,
15 longer follow-up, and increased sample size. So on
16 that basis, I voted yes.

17 DR. GARCIA: Thank you.

18 Dr. Conaway?

19 DR. CONAWAY: Yes. Mark Conaway. I voted
20 no. Despite the extraordinary promise of the agent
21 and concerns about the feasibility of doing a
22 randomized trial, I voted no because of the

1 difficulty in interpreting the results of
2 non-comparative trials and the uncertainty around
3 the long-term applicability of the endpoint.

4 DR. GARCIA: Thank you.

5 Dr. Vasan?

6 DR. VASAN: My name is Neil Vasan, and I
7 voted no. Despite the incredible data, the
8 response rate data, and the very compelling patient
9 testimonials, I felt that the data were not
10 sufficient -- again, to sum up what Dr. Garcia said
11 about the word, "sufficient" -- given the nature of
12 the clinical complete response 12 endpoint,
13 especially in this curative setting.

14 DR. GARCIA: Thank you.

15 Dr. Kunz?

16 DR. KUNZ: Hi. This is Pam Kunz. I voted
17 yes for many of the reasons already stated. I
18 believe in the single-arm design, and I'm
19 supportive of cCR as an acceptable primary
20 endpoint. However, I do think that we need to
21 expand on some of the secondary endpoints as has
22 been previously discussed.

1 The event-free survival is already added,
2 but adding organ preservation rate, considering
3 adding central confirmation, and dMMR/MSI-high as
4 part of the eligibility criteria and quality of
5 life. I really think that our goal -- and
6 appreciate robust conversation from colleagues
7 today but, really, it's this balancing act of
8 identifying effective agents, and minimizing
9 morbidity, and providing access. I think that this
10 trial will really be the first attempt to do that
11 in this disease, so I voted yes. Thank you.

12 DR. GARCIA: Thank you.

13 Mr. Majkowski?

14 MR. MAJKOWSKI: This is Paul Majkowski. I
15 voted yes. I think that, for me, in the context of
16 improvement to quality of life, data and the design
17 is sufficient at this stage to warrant essentially
18 moving on. Again, to collect that data at this
19 stage and move on, I think that it's sufficient,
20 again, viewing that largely in the context of the
21 promise of improving the quality-of-life aspects of
22 treatment of the disease. Thank you.

1 DR. GARCIA: Thank you.

2 Dr. Madan?

3 DR. MADAN: I voted yes, but I think that
4 this planned study in rectal cancer with
5 dostarlimab is suboptimal. That said, I don't
6 think a randomized study will be feasible because,
7 as the discussion highlighted today, PD-1
8 inhibitors will likely be used off-label, and if
9 off-label use becomes the standard practice, then
10 there will be no way to capture data prospectively.
11 Therefore, that makes this proposed trial important
12 as perhaps the only means to obtain that
13 perspective data.

14 I'm not a hundred percent confident in the
15 1-year clinical CR endpoint. That makes
16 transparency an adequate follow-up for durability
17 of response really incumbent upon the sponsor to
18 share with the FDA and the public as it becomes
19 available. Those endpoints must also remain at a
20 high bar for cure rate, and not just survival, in a
21 population that likely would be cured with standard
22 of care. So this trial is a potential platform to

1 get the data, but the questions must be asked
2 appropriately and rigorously evaluated. Thank you.

3 DR. GARCIA: Thank you.

4 Would you mind to restate your name for the
5 record, Dr. Madan?

6 DR. MADAN: Yes. This is Ravi Madan, and I
7 voted yes.

8 DR. GARCIA: Thank you.

9 How can I summarize this vote? The only
10 thing that I can come out with is three single
11 letters, B-U-T, BUT. The group who voted yes
12 believe in the efficacy, believe in the safety,
13 believe in the clinical complete response at
14 12 months as an adequate endpoint, but everybody
15 pretty much agreed that there were some concerns as
16 to the long-term outcome with this agent.

17 Everybody, to the extent to what I gathered,
18 felt that the data would be sufficient, but yet
19 again, the analysis of what comes out of that data
20 may not allow us to define the outcomes that we're
21 seeking to achieve. Also, importance was expressed
22 on the secondary and tertiary endpoints and the

1 importance of quality of life.

2 For the group who voted no, again, B-U-T,
3 BUT. We took that into consideration, and I think
4 most of us felt that the data, although great with
5 existing data, was not sufficient to demonstrate
6 the outcome that we are seeking as patients.

7 Before we adjourn, are there any last
8 comments from the FDA?

9 DR. FASHOYIN-AJE: Dr. Garcia, this is Lola
10 Fashoyin-Aje. On behalf of the FDA, I just want to
11 thank the committee for this really excellent
12 discussion. I think more important than the vote
13 was really the discussion that we had throughout
14 the meeting. I also want to thank the Division of
15 Advisory Committee Consultants, the audiovisual
16 staff, our invited guests, Dr. Kimmie Ng, who gave
17 us a masterful review of treatment of rectal
18 cancer; the GSK team for agreeing to participate in
19 this somewhat atypical ODAC; and the members of the
20 FDA clinical, statistical, regulatory teams for
21 their contributions to this meeting.

22 And thank you, Dr. Garcia, for really doing

1 a great job at keeping everyone on track with
2 giving us the feedback that we were looking forward
3 to. Thank you.

4 **Adjournment**

5 DR. GARCIA: Thank you, Dr. Fashoyin-Aje,
6 and thanks again to the FDA for their commitment
7 for the guidance today. To the committee members,
8 I appreciate your effort. I appreciate our
9 discussions. Thank you also to GSK, and I echo
10 also the comments as to the outstanding clinical
11 faculty who presented today.

12 We will now adjourn the meeting. Thank you
13 all very much.

14 (Whereupon, at 5:22 p.m., the meeting was
15 adjourned.)

16
17
18
19
20
21
22