

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
JOINT MEETING OF THE NONPRESCRIPTION DRUGS  
ADVISORY COMMITTEE (NDAC) AND THE  
ANESTHETIC AND ANALGESIC DRUG PRODUCTS  
ADVISORY COMMITTEE (AADPAC)

Virtual Meeting

Wednesday, February 15, 2023

9:00 a.m. to 4:18 p.m.

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **NONPRESCRIPTION DRUGS ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Maria C. Coyle, PharmD, FCCP, BCPS, BCACP, CLS**

11 *(Chairperson)*

12 Associate Clinical Professor

13 The Ohio State University College of Pharmacy

14 Columbus, Ohio

15

16 **Stephen C. Clement, MD**

17 Associate Professor of Medical Education

18 University of Virginia School of Medicine

19 Practicing Physician, INOVA Fairfax Hospital

20 Falls Church, Virginia

21

22

1 **Diane B. Ginsburg, PhD, MS, RPh, FASHP**

2 Clinical Professor, Pharmacy Practice Division

3 Associate Dean for Healthcare Partnerships

4 The University of Texas at Austin

5 College of Pharmacy

6 Austin, Texas

7

8 **Ruth M. Parker, MD, MACP**

9 Professor Emerita of Medicine

10 Sr. Fellow, Center for Ethics

11 Emory University

12 Atlanta, Georgia

13

14 **Paul Pisarik, MD, MPH, FAAFP**

15 Geriatric Physician

16 Archwell Health

17 Tulsa, Oklahoma

18

19

20

21

22

1 **Katalin E. Roth, JD, MD**

2 Professor of Medicine

3 Division of Geriatrics and Palliative Medicine

4 Medical Faculty Associates

5 The George Washington University School of

6 Medicine and Health Sciences

7 Washington, District of Columbia

8

9 **Leslie Walker-Harding, MD, FAAP, FSAHM**

10 Ford/Morgan Endowed Professor & Chair,

11 Department of Pediatrics, Associate Dean,

12 University of Washington;

13 Chief Academic Officer & Senior Vice President,

14 Seattle Children's Hospital

15 Seattle, Washington

16

17

18

19

20

21

22

1       **NONPRESCRIPTION DRUGS ADVISORY COMMITTEE MEMBERS**

2       **(Non-Voting)**

3       **Mark E. Dato, MD, PhD**

4       *(Industry Representative)*

5       Retired: Director, Global Technology

6       Procter and Gamble Healthcare

7       Evanston, Illinois

8

9       **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

10      **COMMITTEE MEMBERS (Voting)**

11      **Brian T. Bateman, MD, MSc**

12      Professor and Chair

13      Department of Anesthesiology, Perioperative, and

14      Pain Medicine

15      Stanford University School of Medicine

16      Stanford, California

17

18      **Mark C. Bicket, MD, PhD, FASA**

19      Assistant Professor, Department of Anesthesiology

20      Co-Director, Opioid Prescribing Engagement Network

21      University of Michigan

22      Ann Arbor, Michigan

1 **Jennifer Higgins, PhD, MBA**

2 *(Consumer Representative)*

3 Director of Grants

4 Center for Human Development, Inc.

5 Springfield, Massachusetts

6 Owner

7 Commonwealth GrantWorks

8 Southampton, Massachusetts

9

10 **Maura S. McAuliffe, CRNA, MSN, MSNA, PhD, FAAN**

11 Professor Emeritus, College of Nursing

12 Founding Director, Nurse Anesthesia Program

13 East Carolina University

14 Greenville, North Carolina

15

16 **Mary Ellen McCann, MD, MPH**

17 Associate Professor, Anesthesiology, Critical

18 Care and Pain Medicine

19 Harvard Medical School,

20 Boston Children's Hospital,

21 Boston, Massachusetts

22

1     **Timothy J. Ness, MD, PhD**

2     Professor Emeritus

3     Department of Anesthesiology and

4     Perioperative Medicine

5     University of Alabama at Birmingham

6     Birmingham, Alabama

7

8     **Rebecca Richmond, PharmD, BCPS**

9     Associate Chief Pharmacy Officer

10    Central Pharmacy Services

11    Duke University Hospital

12    Durham, North Carolina

13

14    **Abigail B. Shoben, PhD**

15    Associate Professor, Division of Biostatistics

16    College of Public Health

17    The Ohio State University

18    Columbus, Ohio

19

20

21

22

1 **Michael Sprintz, DO, DFASAM**

2 Clinical Assistant Professor

3 Division of Geriatric and Palliative Medicine

4 University of Texas Health Science Center

5 Houston, Texas

6 Founder and CEO

7 Sprintz Center for Pain, PLLC

8 Shenandoah, Texas

9

10 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

11 **COMMITTEE MEMBER (Non-Voting)**

12 **Jay Horrow, MD, MS, FACC**

13 *(Industry Representative)*

14 Clinical Professor, Anesthesiology & Critical

15 Care Medicine

16 Perelman School of Medicine

17 University of Pennsylvania

18 Clinical Lead, Cardiovascular Drug Development

19 Bristol-Myers Squibb

20 Lawrenceville, New Jersey

21

22



1       **TEMPORARY MEMBERS (Voting)**

2       **Jordan Marie Ballou, PharmD, BCACP**

3       Clinical Associate Professor

4       Clinical Pharmacy and Outcomes Sciences

5       Kennedy Pharmacy Innovation Center

6       University of South Carolina College of Pharmacy

7       Columbia, South Carolina

8

9       **Jeffrey Brent, MD, PhD**

10      Distinguished Clinical Professor of Medicine and

11      Emergency Medicine

12      University of Colorado

13      School of Medicine

14      Aurora, Colorado

15

16      **Elizabeth Coykendall, NRP**

17      *(Patient Representative)*

18      Emergency Medicine, Paramedic, MHA Candidate

19      Urgent Care Quality, Safety, and Education Lead

20      PM Pediatric Care

21      Raleigh, North Carolina

22

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Theresa Michele, MD**

3       Director

4       Office of Nonprescription Drugs (ONPD)

5       Office of New Drugs (OND), CDER, FDA

6

7       **Jody Green, MD**

8       Deputy Director for Safety

9       Division for Nonprescription Drugs I (DNPDI)

10      ONPD, OND, CDER, FDA

11

12      **Dorothy Chang, MD**

13      Senior Physician

14      DNDP I, ONPD, OND, CDER, FDA

15

16      **Barbara Cohen, MPA**

17      Social Science Analyst

18      Division of Nonprescription Drugs II

19      ONPD, OND, CDER, FDA

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Millie Shah, PharmD, BCPS**

Senior Pharmacist

Division of Medication Error Prevention and  
Analysis II

Office of Surveillance and Epidemiology

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Maria Coyle, PharmD, FCCP, BCPS,	
5	BCACP, CLS	14
6	Introduction of Committee	
7	Moon Hee Choi, PharmD	14
8	Conflict of Interest Statement	
9	Moon Hee Choi, PharmD	22
10	FDA Opening Remarks	
11	Jody Green, MD	26
12	<b>Applicant Presentations - Emergent BioSolutions</b>	
13	Introduction	
14	Manish Vyas, BSc, EMBA	40
15	NARCAN® Nasal Spray 4 mg and the	
16	OTC Development Program	
17	Gay Owens, PharmD, MBA	45
18	Medical Need for OTC Nasal Naloxone	
19	Scott Hadland, MD, MPH, MS	51
20	Human Factors Study	
21	Sarah Farnsworth, PhD	65
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	NARCAN® Benefit-Risk Overview and Conclusion	
4	Manish Vyas, BSc, EMBA	80
5	Clarifying Questions for Applicant	86
6	<b>FDA Presentations</b>	
7	Regulatory Overview of Narcan Nasal	
8	Spray and Postmarketing Safety Data	
9	Dorothy Chang, MD	110
10	OTC Naloxone Model Drug Facts Label	
11	Comprehension Study	
12	Barbara Cohen, MPA	125
13	Human Factors Validation Study	
14	Millie Shah, PharmD, BCPS	135
15	Clarifying Questions for FDA	158
16	<b>Open Public Hearing</b>	179
17	Clarifying Questions (continued)	215
18	Charge to the Committee	
19	Jody Green, MD	226
20	Questions to the Committee and Discussion	233
21	Adjournment	305
22		

P R O C E E D I N G S

(9:00 a.m.)

**Call to Order**

DR. COYLE: Good morning, and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her email is currently displayed.

My name is Maria Coyle, and I will be chairing this meeting. I will now call the February 15, 2023 Joint Meeting of the Nonprescription Drugs Advisory Committee and the Anesthetic and Analgesic Drug Products Advisory Committee to order. Dr. Moon Hee Choi is the designated federal officer for this meeting and will begin with introductions.

**Introduction of Committee**

DR. CHOI: Good morning. My name is Moon Hee Choi, and I am the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

1 Dr. Coyle?

2 DR. COYLE: Hello. My name is Dr. Maria  
3 Coyle. I'm an associate professor of clinical  
4 pharmacy at The Ohio State University College of  
5 Pharmacy and Wexner Medical Center.

6 DR. CHOI: Dr. Clement?

7 DR. CLEMENT: Hi. Stephen Clement. I am an  
8 endocrinologist practicing at INOVA Fairfax  
9 Hospital, associate professor at UVA.

10 DR. CHOI: Thank you.

11 Dr. Ginsburg?

12 DR. GINSBURG: Good morning. I'm Diane  
13 Ginsburg. I'm a clinical professor in the College  
14 of Pharmacy at the University of Texas at Austin  
15 and associate dean for Healthcare Partnerships at  
16 UT Austin.

17 DR. CHOI: Thank you.

18 Dr. Parker?

19 DR. PARKER: Ruth Parker, professor emerita  
20 of medicine at Emory University, senior fellow,  
21 Center for Ethics at Emory.

22 DR. CHOI: Dr. Pisarik?

1 DR. PISARIK: Paul Pisarik, family  
2 physician, Archwell Health in Tulsa, Oklahoma.

3 DR. CHOI: Dr. Roth?

4 DR. ROTH: Good morning. I'm Katalin Ross.  
5 I'm a professor of medicine at the George  
6 Washington University School of Medicine,  
7 specializing in geriatrics and palliative medicine.

8 DR. CHOI: Dr. Walker-Harding?

9 DR. WALKER-HARDING: Good morning. My name  
10 is Dr. Leslie Walker-Harding. I am professor and  
11 chair of the Department of Pediatrics at the  
12 University of Washington, and adolescent medicine  
13 is my specialty.

14 DR. CHOI: Dr. Dato?

15 DR. DATO: Good morning. Mark Dato,  
16 industry representative, Nonprescription Drugs  
17 Advisory Committee.

18 DR. CHOI: Dr. Bateman?

19 DR. BATEMAN: Good morning. Brian Bateman.  
20 I'm professor and chair of the Department of  
21 Anesthesiology, Perioperative, and Pain Medicine at  
22 Stanford.



1 DR. CHOI: Thank you.

2 Dr. Bicket?

3 DR. BICKET: Good morning. My name is Mark  
4 Bicket. I'm assistant professor and director of  
5 the Opioid Prescribing Engagement Network at the  
6 University of Michigan, and anesthesiologist and  
7 pain medicine physician. Thank you.

8 DR. CHOI: Dr. Higgins?

9 DR. HIGGINS: Jennifer Higgins, the consumer  
10 representative to AADPAC.

11 DR. CHOI: Dr. McAuliffe?

12 DR. McAULIFFE: Good morning. I'm Maura  
13 McAuliffe. I'm a nurse anesthetist and professor  
14 of nursing emeritus at East Carolina University  
15 Nurse Anesthesia Program.

16 DR. CHOI: Thank you.

17 Dr. McCann?

18 (No response.)

19 DR. CHOI: Dr. McCann?

20 DR. McCANN: Sorry. Hi. I'm Mary Ellen  
21 McCann from Boston Children's Hospital, where I'm a  
22 pediatric anesthesiologist and an associate

1 professor of anesthesiology at Harvard Medical  
2 School. Thank you.

3 DR. CHOI: Dr. Ness?

4 DR. NESS: Hi. I'm a professor emeritus in  
5 the Department of Anesthesiology and Perioperative  
6 Medicine at the University of Alabama at  
7 Birmingham.

8 DR. CHOI: Thank you.

9 Dr. Richmond?

10 DR. RICHMOND: Good morning. Rebecca  
11 Richmond, associate chief pharmacy officer at Duke  
12 University Hospital in Durham, North Carolina.

13 DR. CHOI: Dr. Shoben?

14 DR. SHO BEN: Hi. I'm Abby Shoben. I'm an  
15 associate professor of biostatistics at The Ohio  
16 State University.

17 DR. CHOI: Dr. Sprintz?

18 DR. SPRINTZ: Hi. I'm Michael Sprintz. I  
19 am board certified in pain medicine, addiction  
20 medicine, and anesthesiology. I'm a clinical  
21 professor at University of Texas Health Science  
22 Center in Houston and CEO of Sprintz Center for

1 Pain.

2 DR. CHOI: Thank you.

3 Due to an emergency, Dr. Zaafran will not be  
4 attending today's meeting.

5 Dr. Horrow?

6 DR. HORROW: Good morning. I'm Jay Horrow,  
7 clinical professor of anesthesiology at the  
8 University of Pennsylvania and clinical lead  
9 physician for global drug development at  
10 Bristol-Myers Squibb. I'm the industry  
11 representative for the Anesthesia and Analgesic  
12 Drug Products Advisory Committee.

13 DR. CHOI: Dr. Ballou?

14 DR. BALLOU: Hi. Yes, Jordan Ballou. I'm a  
15 community and ambulatory care pharmacist, and a  
16 clinical associate professor in the Department of  
17 Clinical Pharmacy and Outcome Sciences at the  
18 University of South Carolina in Columbia, South  
19 Carolina.

20 DR. CHOI: Thank you.

21 Dr. Brent?

22 DR. BRENT: Good morning. Jeffrey Brent

1 here. I'm a medical toxicologist and a  
2 distinguished professor at the University of  
3 Colorado School of Medicine.

4 DR. CHOI: Ms. Coykendall?

5 MS. COYKENDALL: Good morning. Liz  
6 Coykendall. I am a 911 paramedic, working in  
7 pediatric urgent care, in Raleigh, North Carolina.

8 DR. CHOI: Thank you.

9 Dr. Michele?

10 DR. MICHELE: Good morning, everyone. I'm  
11 Theresa Michele, and I am the director of the  
12 Office of Nonprescription Drugs at FDA.

13 DR. CHOI: Dr. Green?

14 DR. GREEN: Good morning. I'm Jody Green.  
15 I'm the deputy division director for safety for the  
16 Division of Nonprescription Drugs I.

17 DR. CHOI: Dr. Chang?

18 DR. CHANG: Good morning. My name is  
19 Dorothy Chang. I'm a medical officer in the  
20 Division of Nonprescription Drugs I.

21 DR. CHOI: Dr. Cohen?

22 MS. COHEN: Good morning. I'm Barbara

1 Cohen. I'm a social scientist in the Division of  
2 Nonprescription Drugs II in the Office of  
3 Nonprescription Drugs.

4 DR. CHOI: And Dr. Shah?

5 DR. SHAH: Good morning. I'm Millie Shah.  
6 I'm a human factors reviewer in the Division of  
7 Medication Error Prevention and Analysis II.

8 DR. CHOI: Thank you.

9 DR. COYLE: For topics such as those being  
10 discussed at this meeting, there are often a  
11 variety of opinions, some of which are quite  
12 strongly held. Our goal is that this meeting will  
13 be a fair and open forum for the discussion of  
14 these issues and that individuals can express their  
15 views without interruption. Thus, as a gentle  
16 reminder, individuals will be allowed to speak into  
17 the record only if recognized by the chairperson.  
18 We look forward to a productive meeting.

19 In the spirit of the Federal Advisory  
20 Committee Act and the Government in the Sunshine  
21 Act, we ask that the advisory committee members  
22 take care that their conversations about the topic

1 at hand take place in the open forum of the meeting  
2 today.

3 We are aware that members of the media are  
4 anxious to speak with the FDA about these  
5 proceedings; however, FDA will refrain from  
6 discussing the details of this meeting with the  
7 media until its conclusion. Also, the committee is  
8 reminded to please refrain from discussing the  
9 meeting topics during breaks or lunch. Thank you.

10 Dr. Moon Hee Choi will read the Conflict of  
11 Interest Statement for the meeting.

12 **Conflict of Interest Statement**

13 DR. CHOI: The Food and Drug Administration,  
14 FDA, is convening today's joint meeting of the  
15 Nonprescription Drug Advisory Committee and the  
16 Anesthetic and Analgesic Drug Advisory Committee  
17 under the authority of the Federal Advisory  
18 Committee Act of 1972. With the exception of the  
19 industry representative, all members and temporary  
20 voting members of the committees are special  
21 government employees or regular federal employees  
22 from other agencies and are subject to federal

1 conflict of interest laws and regulations.

2 The following information on the status of  
3 this committee's compliance with federal ethics and  
4 conflict of interest laws, covered by but not  
5 limited to those found at 18 U.S.C. Section 208, is  
6 being provided to participants in today's meeting  
7 and to the public.

8 FDA has determined that members and  
9 temporary voting members of these committees are in  
10 compliance with federal ethics and conflict of  
11 interest laws. Under 18 U.S.C. Section 208,  
12 Congress has authorized FDA to grant waivers to  
13 special government employees and regular federal  
14 employees who have potential financial conflicts  
15 when it is determined that the agency's need for a  
16 special government employee's services outweighs  
17 his or her potential financial conflict of  
18 interest, or when the interest of a regular federal  
19 employee is not so substantial as to be deemed  
20 likely to affect the integrity of the services  
21 which the government may expect from the employee.

22 Related to the discussions of today's

1 meeting, members and temporary voting members of  
2 these committees have been screened for potential  
3 financial conflicts of interests of their own as  
4 well as those imputed to them, including those of  
5 their spouses or minor children and, for purposes  
6 of 18 U.S.C. Section 208, their employers. These  
7 interests may include investments; consulting;  
8 expert witness testimony; contracts, grants,  
9 CRADAs; teaching, speaking, writing; patents and  
10 royalties; and primary employment.

11 Today's agenda involves the discussion of  
12 supplemental new drug application,  
13 NDA 208411/S-006, for Narcan, naloxone  
14 hydrochloride nasal spray, 4 milligrams per  
15 0.1 milliliters, submitted by Emergent  
16 BioSolutions, Incorporated.

17 Narcan is proposed for nonprescription  
18 treatment of known or suspected opioid overdose, as  
19 manifested by respiratory and/or central nervous  
20 system depression. The issues for discussion will  
21 be on the adequacy of the data supporting the  
22 nonprescription application. This product



1 represents a potential first-in-class product in a  
2 new therapeutic category for nonprescription drugs.

3 This is a particular matters meeting during  
4 which specific matters related to Emergent  
5 BioSolutions' supplemental NDA will be discussed.  
6 Based on the agenda for today's meeting and all  
7 financial interests reported by the committee  
8 members and temporary voting members, no conflict  
9 of interest waivers have been issued in connection  
10 with this meeting. To ensure transparency, we  
11 encourage all standing committee members and  
12 temporary voting members to disclose any public  
13 statements that they have made concerning the  
14 product at issue.

15 With respect to FDA's invited industry  
16 representatives, we would like to disclose that  
17 both Dr. Mark Dato and Dr. Jay Horrow are  
18 participating in this meeting as a non-voting  
19 industry representative, acting on behalf of  
20 regulated industry. Dr. Dato's and Dr. Horrow's  
21 role at this meeting are to represent industry in  
22 general and not any particular company. Dr. Dato

1 is retired and Dr. Horrow is employed by  
2 Bristol-Myers Squibb.

3 We would like to remind members and  
4 temporary voting members that if the discussions  
5 involve any other products or firms not already on  
6 the agenda for which an FDA participant has a  
7 personal or imputed financial interest, the  
8 participants need to exclude themselves from such  
9 involvement, and their exclusion will be noted for  
10 the record. FDA encourages all the participants to  
11 advise the committee of any financial relationships  
12 that they may have with the firm at issue. Thank  
13 you.

14 DR. COYLE: We will now proceed with FDA  
15 introductory remarks from Dr. Jody Green.

16 **FDA Opening Remarks - Jody Green**

17 DR. GREEN: Thank you.

18 Good morning, Dr. Coyle and members of the  
19 committee, as well as our guests from Emergent  
20 BioSolutions and members of the public. My name is  
21 Jody Green. I'm the deputy division director for  
22 safety for the Division of Nonprescription Drugs I,

1 and on behalf of the division and all of us here at  
2 the FDA, it's my pleasure to welcome you to this  
3 meeting.

4 Before we get started, I just wanted to take  
5 a moment to thank the members of the committee for  
6 taking time out of their very busy schedules to  
7 thoughtfully review the briefing package and to be  
8 here today. Please know that your input today is  
9 extremely valuable to the FDA, and we take your  
10 comments very seriously.

11 Today, we will discuss the potential  
12 prescription to nonprescription switch for Narcan  
13 nasal spray 4 milligrams. Narcan, or naloxone  
14 hydrochloride, is an opioid antagonist used for the  
15 emergency treatment of opioid overdose. Currently,  
16 it is approved as a prescription product for  
17 community use.

18 First, I'd like to mention the devastating  
19 public health crisis associated with the use of  
20 opioids in the United States. Opioid overdose and  
21 death can occur at all ages, including patients  
22 prescribed an opioid medication, people who misuse

1 or abuse opioids purposely or victims of accidental  
2 exposure. Opioid deaths are the leading cause of  
3 accidental death in the United States, and they  
4 occur most frequently in those ages 18 to 65, but  
5 they occur in children as well. Between 1999 and  
6 2016, nearly 9,000 children and adolescents died  
7 from opioid poisonings, with the highest annual  
8 rates among adolescents ages 15 to 19.

9 Opioid overdoses are often witnessed by a  
10 family member or friend who has had no contact with  
11 a healthcare practitioner or harm reduction group,  
12 and that is why it is imperative to develop a  
13 naloxone product that can be used without training.

14 Although prescription opioid use has  
15 decreased in the last few years, illicit opioid  
16 use, particularly synthetic opioids such as  
17 fentanyl, has markedly risen. The black line shows  
18 the rise of all opioid deaths and the gold line  
19 shows the rise of deaths from synthetic opioids.  
20 More than a million people have died from drug  
21 overdose, largely opioids, in the last two decades  
22 since the CDC began collecting data. Deaths from

1 opioid overdose rose from approximately 69,000 in  
2 2020 to approximately 81,000 in 2021, a rise of  
3 17.2 percent in just one year.

4 Narcan was first approved in 1971 as a  
5 solution that was labeled for intravenous,  
6 intramuscular, and subcutaneous use. It's a  
7 non-selective opioid receptor antagonist. The  
8 initial indication was for the complete or partial  
9 reversal of opioid depression, including  
10 respiratory depression, induced by natural or  
11 synthetic opioids. It was also indicated for the  
12 diagnosis of suspected or known acute opioid  
13 overdose; however, this earlier formulation of an  
14 injectable form of naloxone was not optimized for  
15 use by laypeople.

16 There are four FDA-approved presentations of  
17 naloxone. Ampoules and vials can be administered  
18 by injection. Naloxone can also be administered  
19 using a prefilled syringe, an auto-injector, and by  
20 nasal spray, both 4 milligrams and 8 milligrams.  
21 In 2015, Narcan nasal spray 4 milligram was  
22 specifically developed and approved for community

1 use, and it has rapidly become the most widely used  
2 emergency treatment of opioid overdose in the  
3 United States. This means that treatment can be  
4 administered by laypeople in community settings  
5 without the need for additional supplies or  
6 assembly before use.

7 Community use with prescription status is  
8 likely to be associated with some degree of  
9 training or oversight, which is different from  
10 nonprescription status. In contrast,  
11 nonprescription status means that there is no  
12 healthcare provider oversight or any training other  
13 than what is provided as part of the product  
14 labeling.

15 The 4-milligram dose of naloxone may be  
16 administered to all ages, including children and  
17 neonates, but it must be administered as soon as  
18 opioid overdose is suspected to reverse the  
19 life-threatening effects and to prevent hypoxic  
20 associated injury and death. This is why it is  
21 critical to develop a simple product interface to  
22 guide the user through the essential elements if

1 used in an emergency without any other training.  
2 Currently, individuals may obtain naloxone with a  
3 prescription from their healthcare provider. They  
4 can obtain it from a pharmacist under statewide  
5 naloxone standing orders or through harm reduction  
6 groups where they may receive training.

7 We want to emphasize that naloxone  
8 distribution is far greater than the typical  
9 pharmacy supply chain. There is a complex  
10 distribution chain. It is noted that naloxone  
11 products are distributed through the traditional  
12 pharmacy supply chain, which includes hospitals;  
13 clinics; retail outlets; mail-order pharmacies;  
14 health maintenance organizations; home healthcare,  
15 universities and government facilities.

16 In addition, naloxone is distributed in the  
17 outpatient setting outside the typical healthcare  
18 supply chain to reach those without health  
19 insurance, those who are using illicit substances  
20 who may be reluctant to seek medical care, and  
21 family and friends of opioid users. These  
22 distribution channels may include products donated

1 or sold directly to harm reduction programs,  
2 prisons, and other entities.

3 These units distributed outside the  
4 traditional wholesale pharmaceuticals distribution  
5 supply chain are not captured in estimates obtained  
6 from proprietary databases available to the FDA,  
7 which includes data from U.S. outpatient retail,  
8 mail-order, and long-term care pharmacies only.

9 It is important to understand why statewide  
10 standing orders are not enough to make naloxone  
11 widely accessible. We have heard from  
12 stakeholders, including harm reduction groups, that  
13 some pharmacies are reluctant to carry naloxone or  
14 find the standing orders burdensome. This can make  
15 it difficult for harm reduction groups to attain  
16 bulk purchases under standing orders.

17 Additionally, for some who use opioids, the  
18 stigma of opioid dependence may inhibit purchase,  
19 requiring an interaction with the pharmacist. We  
20 believe that nonprescription naloxone may help  
21 address these barriers. If naloxone becomes a  
22 nonprescription product, it may be sold in many



1 venues previously unavailable to consumers,  
2 including vending machines, convenience stores,  
3 supermarkets, and big box stores, just like other  
4 nonprescription products.

5 The FDA has responded to the national opioid  
6 epidemic and the call for increasing access to  
7 naloxone. FDA commissioner Dr. Robert Califf  
8 announced in August 2022 an overdose prevention  
9 framework, which aims to prevent drug overdoses and  
10 deaths, and includes the goal of increasing access  
11 to opioid overdose reversal agents, specifically,  
12 naloxone.

13 In keeping with these goals, the FDA issued  
14 a Federal Register notice in the fall of 2022, in  
15 which a preliminary assessment was made that  
16 certain naloxone products up to 4-milligram nasal  
17 spray and up to 2-milligram autoinjector may be  
18 approvable as safe and effective for  
19 nonprescription use, pending FDA review of  
20 additional supportive information and data.

21 If and when FDA approves the nonprescription  
22 naloxone product, naloxone products labeled as

1 "prescription-only" with no clinically meaningful  
2 difference from the approved nonprescription  
3 product will be considered misbranded. The notice  
4 encourages application holders of prescription  
5 naloxone products to contact the FDA as early as  
6 possible to initiate discussions about a possible  
7 switch to nonprescription status. The notice  
8 solicited comments and information from the public,  
9 and the public comment period closed on January 17,  
10 2023. Today, we will be reviewing the data that  
11 Emergent BioSolutions has presented to determine  
12 its suitability for nonprescription status. If  
13 switched, this would be the first in class.

14 The first characteristic of the  
15 nonprescription product is that it must be safe in  
16 the hands of consumers and have an acceptable  
17 safety margin. The product must have a low abuse  
18 potential, and there must be little evidence of  
19 misuse. The condition being treated needs to be  
20 self-diagnosable. Individuals must be able to  
21 select the product and use it without the advice of  
22 a healthcare practitioner. In our review, we

1 looked for evidence that the product is likely to  
2 be safe and effective, not only by experienced  
3 users, but also in the hands of naïve users.

4 In this application, even though the drug  
5 and device are the same as the prescription  
6 product, it's important to understand the new  
7 supportive data we reviewed. The applicant has  
8 provided postmarketing safety data for Narcan nasal  
9 spray to evaluate adverse events associated with  
10 the use of the product. We looked at common  
11 adverse events and serious adverse events, such as  
12 precipitated withdrawal symptoms and limited  
13 efficacy, including death. We looked for evidence  
14 of misuse, medication errors, and device failures.

15 In addition, the applicant has provided a  
16 Drug Facts Label that was previously validated by  
17 the FDA, and then was customized with their own  
18 directions for use. Finally, they provided  
19 evidence from their pivotal trial, a human factors  
20 validation study conducted under simulated-use  
21 conditions that the user interface for the drug  
22 product is safe and effective for the intended

1 user, the uses, and the use environments.

2 In this study, they used a mock carton,  
3 where the product interface was tested in a broad  
4 group of subjects. The study was designed and  
5 performed without direct FDA input. Today, we will  
6 review the results of the study and highlight  
7 issues that might have an impact on the safe and  
8 effective use of the product.

9 Pertaining to the Drug Facts Label, the  
10 applicant used the model Drug Facts Label, designed  
11 by an FDA multidisciplinary team in consultation  
12 with outside experts in addiction treatment and  
13 harm reduction, who examined the prescription label  
14 and reduced it to the most essential elements  
15 required for comprehension and safe use at the time  
16 of an emergency. The Drug Facts Label was then  
17 validated by another independent team for adequate  
18 comprehension.

19 The FDA undertook this task to expedite drug  
20 development of a nonprescription product and to  
21 ease industry's regulatory burden. This was an  
22 extremely unusual step for the FDA to undertake.

1 Industry was advised that in preparing their  
2 nonprescription labeling, that the only change to  
3 the model Drug Facts Label should be adding  
4 directions and potentially improving the  
5 instruction call 911.

6 To sum up the issues, Narcan nasal spray  
7 efficacy as a prescription drug is well  
8 established, but what we will discuss today is the  
9 proposed design of the user interface, including  
10 labeling, for the nonprescription drug; is it  
11 optimized so that consumers will use it effectively  
12 without the help of the healthcare intermediary?  
13 Secondly, is Narcan nasal spray's safety well  
14 established? We know it's well established, but is  
15 it likely that the product will remain safe in the  
16 nonprescription setting?

17 This advisory committee is precedent  
18 setting, since this will be the first time we  
19 considered placing a life-saving opioid overdose  
20 emergency treatment over the counter. As such,  
21 what we're asking you to focus on, is the  
22 applicant's product, Narcan nasal spray, safe and

1 effective for nonprescription use, based on the  
2 product labeling, the results of the human factors  
3 testing, and the postmarketing safety findings that  
4 has accumulated for the community-use product since  
5 its approval back in 2016? Will consumers have  
6 enough information from labeling alone to guide the  
7 effective use of the product?

8           Before I close, I just want to mention a  
9 little framework that gives the FDA the ability to  
10 hold advisory meetings to ask for scientific advice  
11 and recommendations from experts such as yourself.  
12 As I noted previously, the FDA takes very seriously  
13 the advice of the committee; however, the  
14 commissioner does have sole discretion on actions  
15 taken with regard to drug approval, especially  
16 since there may be other issues, such as  
17 manufacturing or chemistry, that impact approval  
18 decisions that are not discussed at these meetings.

19           So with that, I'll stop and turn the podium  
20 back to Dr. Coyle. Thank you.

21           DR. COYLE: Both the Food and Drug  
22 Administration, FDA, and the public believe in a

1 transparent process for information gathering and  
2 decision making. To ensure such transparency at  
3 the advisory committee meeting, FDA believes that  
4 it is important to understand the context of an  
5 individual's presentation.

6 For this reason, FDA encourages all  
7 participants, including the applicant's  
8 non-employee presenters, to advise the committee of  
9 any financial relationships that they may have with  
10 the applicant, such as consulting fees, travel  
11 expenses, honoraria, and interest in the applicant,  
12 including equity interests and those based upon the  
13 outcome of the meeting.

14 Likewise, FDA encourages you at the  
15 beginning of your presentation to advise the  
16 committee if you do not have any such financial  
17 relationships. If you choose not to address this  
18 issue of financial relationships at the beginning  
19 of your presentation, it will not preclude you from  
20 speaking.

21 We will now proceed with the Emergent  
22 BioSolutions' presentations.

1 (No response.)

2 MR. BONNER: This is Derek Bonner with the  
3 AV support team. We are unable to hear the  
4 Emergent team at this time. If you are using your  
5 conference line telephone, you can unmute yourself  
6 by pressing star-6.

7 (Pause.)

8 MR. BONNER: Once again, this is Derek  
9 Bonner with AV support in room. This is for the  
10 Emergent conference room line. I see you are muted  
11 inside the platform. We would need somebody to  
12 touch on their keypad star-6 to unmute yourself.

13 (Pause.)

14 MR. VYAS: Okay. Hopefully, you can hear us  
15 now.

16 MR. BONNER: Yes, we can. Thank you very  
17 much.

18 **Applicant Presentation - Manish Vyas**

19 MR. VYAS: Alright. Well, apologies for  
20 those technical challenges there. I will start  
21 with my introduction.

22 Greetings and good morning to the committee



1 members, the FDA team, and the members of the  
2 public. My name is Manish Vyas. I am senior vice  
3 president and head of Regulatory Affairs at  
4 Emergent BioSolutions. For the past 30 years, I  
5 have worked on development, licensure, and the  
6 manufacture of many vaccines and therapeutics  
7 around the world, and I'm extremely happy and  
8 honored to be here today.

9 On behalf of Emergent, we are grateful for  
10 the opportunity to discuss our OTC switch  
11 application for Narcan nasal spray with you. We  
12 look forward to our interactions throughout the day  
13 today.

14 For 25 years, Emergent has delivered  
15 solutions for complex and urgent public health  
16 threats through a portfolio of vaccines and  
17 therapeutics that we develop and manufacture for  
18 governments and consumers. We are proud of our  
19 mission of protecting and enhancing life, and our  
20 work on Narcan nasal spray is part of this mission.  
21 We are focused on building greater awareness and  
22 access to naloxone.

1           Along with myself, I have an internal  
2           Emergent colleague and our external experts, and we  
3           plan to cover the following topics. I'll be  
4           followed by Dr. Gay Owens. Dr. Hadland, one of our  
5           external experts, will review the unmet medical  
6           need for OTC nasal naloxone, and Dr. Sarah  
7           Farnsworth from PEGUS will review our data from the  
8           Human Factors Study. I will then provide the  
9           benefit-risk overview and our conclusion.

10           This is the most recent graphic from the  
11           2021 CDC data that shows we have a crisis. What  
12           you see here are the three different waves in the  
13           rise of opioid overdose deaths, and it shows the  
14           evolving nature of this epidemic. In the most  
15           recent third wave, in purple, the deaths are driven  
16           by fentanyl.

17           The results of all of this is that, each  
18           day, 187 people will die. This is absolutely  
19           tragic. As we think of not only the individuals  
20           themselves, but the families, the communities, the  
21           workplaces, this has profound human impact, and we  
22           are all impacted from this. So with the rise of

1 synthetic opioids, access is critical, and with  
2 having naloxone readily available to potentially  
3 reverse an opioid overdose, this is what brings us  
4 here today.

5 Overdose from opioids can lead to injury  
6 and/or death quickly if there is no immediate  
7 medical intervention. What this shows is there is  
8 a very small window between the cessation of  
9 breathing and permanent injury or death, and this  
10 underscores the need for bystander intervention in  
11 community settings.

12 As various stakeholders have outlined, OTC  
13 naloxone is one way to increase access and ensure  
14 that naloxone gets in the hands of laypersons for  
15 community intervention. Emergent agrees with this  
16 approach. We have a shared goal, and that is to  
17 increase access to naloxone and decrease opioid  
18 overdose deaths. We applaud the work FDA has  
19 already done to support the development of OTC  
20 naloxone and are here today to discuss Narcan nasal  
21 spray as the proposed OTC product.

22 Here I would like to highlight that

1 Rx product is the same as the proposed OTC product.  
2 Narcan nasal spray is indicated as an emergency  
3 treatment of known or suspected opioid overdose.  
4 It is intended for immediate administration as  
5 emergency therapy, and an important reminder that  
6 it is not a substitute for emergency medical care.

7 Over seven years of having marketed this  
8 product, we know that this product is very easy to  
9 use. It is being used by a variety of community  
10 groups, including lay individuals. It is a single,  
11 4-milligram dose that is safe and effective. It is  
12 packaged as 2 doses in a carton to allow for a  
13 repeated dose should more than one dose be  
14 required. Administration of Narcan does not  
15 require specialized training. Inhalation is not  
16 required. Assembly is not needed. It is a  
17 needle-free and easy-to-carry presentation.

18 I would like to acknowledge our external  
19 experts who can provide perspective regarding the  
20 current and potential future use of Narcan to bring  
21 wealth of real-world experience as clinicians and  
22 pharmacists, and have been at the forefront of

1 guiding patients and communities in dealing with  
2 the opioid epidemic.

3 With this, I will now turn the presentation  
4 over to my colleague, Dr. Gay Owens.

5 Dr. Owens?

6 **Applicant Presentation - Gay Owens**

7 DR. OWENS: Good morning. Dr. Gay Owens,  
8 global medical affairs lead. It is a privilege to  
9 have this opportunity to discuss with key  
10 stakeholders a topic that I've been personally  
11 engaged in over the last 10 years. I've had the  
12 opportunity to increase awareness on who's at risk  
13 for opioid overdose, as well as the potential  
14 life-saving benefits of naloxone.

15 I look forward to our discussion today with  
16 regards to consideration of moving Narcan to an OTC  
17 status as a means to continue to increase  
18 availability and the impact it will have on the  
19 opioid epidemic and saving lives.

20 Let's review some brief history of naloxone.  
21 This slide shows time lines with some important  
22 milestones. The World Health Organization has

1 listed this on its List of Essential Medicines,  
2 which means it addresses a public health issue and  
3 has data in support of the safety and efficacy.  
4 1966 marked the molecule being first synthesized in  
5 the U.S., with 1971, the first approval of Narcan  
6 as an injectable for use in treating opioid  
7 overdoses in the healthcare setting.

8 In response to increased opioid overdose  
9 deaths, kits containing prefilled naloxone  
10 injection and an atomizer enabling nasal  
11 administration became increasingly available for  
12 public use. These kits are not FDA approved. In  
13 2014, Evzio, a community injection option, was  
14 approved by the FDA, followed in 2015 by Narcan,  
15 the first community-based intranasal spray. Since  
16 the time of its approval, Narcan has had widespread  
17 distribution and is now part of the standard of  
18 care in the treatment of opioid overdose.

19 Naloxone's mechanism of action, it is an  
20 opioid antagonist that competes with and prevents  
21 opioids from binding to the mu-opioid receptor  
22 sites. Once naloxone binds to the opioid receptor,

1 it displaces opioids and reverses their biologic  
2 effects, intending to reverse respiratory  
3 depression and help prevent a potentially fatal  
4 overdose. Because the duration of action is  
5 shorter than that of many opioids, single doses may  
6 achieve transient effects. Repeat doses may be  
7 needed to prevent respiratory depression from  
8 returning.

9 Naloxone has no effect on a standard dose in  
10 patients who have not taken, or are not dependent  
11 on, opioids; however, it can precipitate acute  
12 withdrawal in those who are opioid-dependent or  
13 acutely intoxicated with opioids. Based on this  
14 mechanism of action., naloxone has no abuse  
15 liability or potential for misuse.

16 Naloxone has a long history of safe and  
17 effective use. The product was approved as an  
18 injectable and has over 50 years of safety and  
19 efficacy data. It is now evolved over time to  
20 support community use. The initial dosing and  
21 labeling was a 0.4 to 2-milligram IM subQ IV. This  
22 is the reference range by which all naloxone

1 products are evaluated. The original Narcan nasal  
2 development program needed to establish  
3 bioequivalence and demonstrate there were no  
4 additional safety concerns with an intranasal route  
5 of administration.

6 This was the definitive PK study for the  
7 approval of Narcan. The PK study was performed to  
8 evaluate the intranasal formulation compared to the  
9 intramuscular. The primary goals were to establish  
10 the effective dose that would achieve the systemic  
11 exposure comparable to the reference range. The  
12 secondary goal was to show there was no increase in  
13 AEs due to an intranasal route of administration.

14 The results showed that a 4-milligram  
15 intranasal dose was equivalent to the higher end  
16 dosing range of a 2-milligram intramuscular  
17 injection. There were no serious adverse events or  
18 deaths in the PK study. This data resulted in the  
19 approval of the 4-milligram intranasal product, and  
20 the entire details of this study are in our  
21 approved prescribing information.

22 Broader access and availability of naloxone



1 is needed. Under the current distribution model,  
2 federal and state policies and regulations enable  
3 naloxone access. By adding OTC channels, naloxone  
4 can be made available to an even broader  
5 population. Despite our success with widespread  
6 community access, gaps still exist.

7           Since the approval of the product in 2015,  
8 Emergent wanted to ensure availability to those  
9 most at risk who are in need of naloxone in a  
10 community setting. We engaged key stakeholders,  
11 including pharmacists, community-based  
12 organizations, harm reduction, law enforcement, and  
13 departments of health.

14           We worked with policymakers to support  
15 legislation on co-prescribing an naloxone access  
16 goal, with standing orders to further increase the  
17 availability to those on chronic opioids, as well  
18 as family and friends, and households at risk. We  
19 recognize the epidemic has changed and that we have  
20 to evolve, and consideration of Narcan OTC is one  
21 more step in this collaboration with key  
22 stakeholders to address the needs of the epidemic.

1           In order for Narcan to be considered OTC,  
2           there are key criteria that need to be met. The  
3           criteria are listed on this slide: the user must  
4           be able to self-diagnose; the product is adequately  
5           labeled to drive correct use by the consumer; the  
6           benefits of increased access outweigh potential  
7           risks; healthcare practitioners are not needed for  
8           safe and effective use of the product; and there's  
9           low potential for misuse and abuse. We will walk  
10          you through these today as part of our discussion.

11           Since it is already approved as a  
12          prescription product with data in support of safety  
13          and efficacy, the OTC programs include elements  
14          focused on the label and use by consumer. Those  
15          included the development of our Drug Facts Label,  
16          which was in conjunction with the FDA developed  
17          model, DFL; the validation of the DFL through our  
18          Human Factors Validation Study; and real-world data  
19          to demonstrate utilization and postmarketing safety  
20          and surveillance.

21           I will now turn it over to Dr. Hadland to  
22          talk about the medical need for OTC naloxone.

1                   **Applicant Presentation - Scott Hadland**

2                   DR. HADLAND: Thank you so much. Just given  
3 our technical difficulties, let me know if you  
4 can't hear me.

5                   It's really an honor and exciting for me to  
6 be here today to talk about this. The need for  
7 broadened access to naloxone is very high. A  
8 little bit about myself, I am the chief of  
9 Adolescent and Young Adult Medicine at  
10 Massachusetts General Hospital and Harvard Medical  
11 School, but I want to clarify that today I'm  
12 presenting as an independent expert who practices  
13 in pediatric addiction medicine and in public  
14 health, and the views I'm presenting today don't  
15 represent necessarily those of my employers.

16                   I also want to highlight that as a  
17 researcher, I receive research funding from the  
18 National Institute on Drug Abuse, the  
19 Patient-Centered Outcomes Research Institute, and  
20 the U.S. Centers for Disease Control and  
21 Prevention, and want to clarify today that none of  
22 these funders had any role in my decision to

1 present or in the preparation of these slides  
2 today.

3 I want to share just a few more details to  
4 build on what's already been mentioned about the  
5 scope of the U.S. overdose crisis. As somebody who  
6 practices in a clinic, taking care of adolescents  
7 and young adults, really, between the ages of about  
8 13, all the way up to 30, this has become very  
9 central to my work. I've lost patients over the  
10 years and have watched as many families that have  
11 been devastated by this rising, worsening, and  
12 skyrocketing overdose crisis.

13 Just to put things into context, more than a  
14 million overdose deaths have occurred since the  
15 turn of the century, and we're now at a point where  
16 in the year 2021 alone, there were more than  
17 100,000 overdose deaths. This is the highest  
18 annual death toll ever recorded, and this is, as  
19 we've heard, a little bit driven by increasingly  
20 potent opioids in the drug supply, including  
21 fentanyl.

22 What you can see in this figure, which

1 represents the most recent full-year data that we  
2 have available from national statistics, you can  
3 see that, really, overdose deaths have been rising  
4 from any opioid, but have really skyrocketed,  
5 driven by this darker blue line which is synthetic  
6 opioids other than methadone, and this is the  
7 category that includes fentanyl. This rising has  
8 actually accelerated even more over 2020 and 2021,  
9 years that, as we all know, have been greatly  
10 impacted by the COVID pandemic, which caused a lot  
11 of social isolation and really contributed to an  
12 inability of people to access life-saving  
13 medications like naloxone and addiction treatment.

14 I want to highlight what's going on in the  
15 age group that I really care for, and this is young  
16 people. This was so nicely mentioned in the  
17 presentation by Dr. Green, who highlighted that  
18 children and adolescents have not been spared from  
19 this national crisis. These are data on teenagers  
20 aged 14 to 18 from across the United States, and  
21 the skyrocketing overdose deaths that we just saw  
22 in the last slide is really reflected in young

1 people.

2 This figure shows overdose deaths in  
3 teenagers ranging from 2010 to 2021, and what you  
4 can see is that, again, with the onset of the  
5 pandemic, there's just this enormous skyrocketing  
6 in fentanyl-involved overdose deaths. Actually,  
7 now we're at a point where fentanyl is involved in  
8 5 out of every 6 of all teen fatalities across the  
9 United States, which are at an all-time high.

10 To further drive this point home and  
11 highlight the extent to which young people have not  
12 been spared by this crisis, and the extent to which  
13 they really need to be thought of as we think of  
14 solutions to this crisis, including naloxone,  
15 opioid misuse begins early in life. Two out of  
16 every three adult individuals who are in opioid  
17 addiction treatment report that the first time that  
18 they used an opioid was before age 25, and 1 in 3  
19 report that the first time that they used was  
20 before age 18.

21 This bottom figure shows national high  
22 school survey data that depict the percentage of

1 teenagers who by the end of high school report that  
2 they have used an opioid during their lifetime for  
3 non-medical purposes; so they're not using it as  
4 part of a prescription. There are a few important  
5 things to glean from this figure. The first one is  
6 that, yes, opioid misuse is actually at an all-time  
7 low right now. It actually has declined quite  
8 nicely since 2011 when it was at its peak. But  
9 even if you examine this figure that seems to have  
10 a reassuring trend, and you pause, and you think  
11 about what the numbers looked like in 2020 just  
12 before the pandemic started, what you can see is  
13 that about 1 in 19 students reports ever having  
14 misused a prescription opioid or an opioid more  
15 generally, and that's about 1 to 2 students in a  
16 typical high school classroom.

17 So that really demonstrates the extent to  
18 which opioid misuse is a widespread problem; that  
19 if you multiply it across the many classrooms that  
20 are across the United States, it just demonstrates  
21 just how much risk there is right now, and I would  
22 anticipate that these numbers are going to go back

1 up again as we see teens interacting again with  
2 their peers and having access to substances again.

3 We've talked a little bit about this, but I  
4 want to be crystal clear about what's going on  
5 among everybody that may be purchasing drugs off of  
6 the drug market, but in particular in a way that is  
7 very risky and puts teens at a particular  
8 vulnerability; that is that there are an incredibly  
9 high number of counterfeit pills in the illicit  
10 market right now.

11 The Drug Enforcement Administration  
12 estimates that at least 60 percent of pills being  
13 sold in the illicit market are counterfeit pills  
14 that contain potentially lethal doses of fentanyl.  
15 These figures here demonstrate, side-by-side, real  
16 prescription pills with their counterparts that  
17 contain fentanyl. This left panel shows oxycodone.  
18 The top two pills are real oxycodone and the bottom  
19 two are counterfeit oxycodone that contain  
20 fentanyl.

21 This middle panel is Adderall. The top of  
22 these two pills are real Adderall and down below



1 are counterfeit Adderall that actually in this case  
2 contained methamphetamine, and then the far right  
3 shows Xanax. So the left white tablet here is real  
4 Xanax and the yellow tablet immediately next to it  
5 is counterfeit Xanax that, again, contains  
6 fentanyl, potentially in a lethal dose.

7           Increasingly, fentanyl isn't just in  
8 counterfeit pills, but it's also reported in other  
9 drugs that are in the the drug supply, including  
10 illicit cocaine that's being sold, MDMA or Molly,  
11 and methamphetamine. The result here is that  
12 people all across the United States -- and in  
13 particular, young people -- are being exposed to  
14 highly potent, highly lethal fentanyl without their  
15 knowledge, and often this is occurring among  
16 individuals with little to no prior exposure to  
17 potent opioids, meaning they don't have a  
18 tolerance, and when they have a high dose of  
19 fentanyl, they're at extremely high risk.

20           What is the explicit role for naloxone here?  
21 Well, let me just trace some important bullet  
22 points here that I think will help us to understand

1 where naloxone fits in. People who overdose  
2 usually are found unresponsive in their usual  
3 settings, meaning at home, at work, or in public,  
4 and emergency care, which really needs to include  
5 opioid reversal with naloxone, and respiratory  
6 support is absolutely critical to survival.

7 Naloxone is safe, it's effective, and it's  
8 easily administered, including by young people, who  
9 I observe use it in my own clinical practice, but  
10 on the other hand, many people who use opioids,  
11 either intentionally or unintentionally, if they're  
12 exposed to them in the drug supply as we just  
13 discussed, without their knowledge, are unaware of  
14 naloxone and its use or they don't have immediate  
15 access to it. So we really need to do a lot to  
16 improved access and increasingly normalize the use  
17 of naloxone for people who might be exposed to  
18 opioids.

19 I want to talk a little bit more about the  
20 context of teen overdoses again because they have  
21 been hit particularly hard by the fentanyl crisis.  
22 Recent data from the Centers for Disease Control

1 and Prevention highlight that most overdoses of  
2 teenagers occur at home. Two-thirds of the time,  
3 there's actually someone else in the home who could  
4 have responded, but the teen died, and that person  
5 did not respond. Sixty percent of the time, the  
6 teen is pulseless by the time EMS arrives, and  
7 often it's EMS who shows up and gives the teen  
8 their first access to naloxone. In fact, national  
9 data highlight that naloxone is given in fewer than  
10 1 in 3 teen overdose deaths.

11 So there is a huge gap here, where there is  
12 an enormous need for opioid overdose reversal, and  
13 yet naloxone is not getting to the places that it  
14 needs to be. So in my expert opinion, ready  
15 availability of naloxone in U.S. households could  
16 avert numerous, if not many, overdose deaths that  
17 are currently occurring on a daily basis right now  
18 as we meet.

19 Broader access to naloxone is needed for  
20 everybody, and in particular, for young people.  
21 Programs that increase community access to naloxone  
22 and information on how to use it have been shown to

1 save lives, and this has been a story that has  
2 developed here in Massachusetts, which really works  
3 to get naloxone into its communities to try to  
4 address the rising number of overdose deaths.

5 But many individuals whose lives could be  
6 saved by naloxone don't have access to it or don't  
7 have awareness of it. This includes people who use  
8 drugs, who experience stigma and may be afraid to  
9 go to a healthcare provider and ask for a  
10 prescription for naloxone. People without medical  
11 insurance or without primary care don't have access  
12 to doctors who can prescribe naloxone for them.  
13 And then young people and family members that I  
14 meet every day, who are afraid of opioid overdose  
15 and are worried about it in young people, have no  
16 idea that naloxone is out there. So again, we need  
17 to destigmatize and normalize the use of naloxone,  
18 and make it widely available for all people who can  
19 benefit from it.

20 And why now? Well there's, as I've  
21 highlighted, just enormous urgency due to fentanyl.  
22 This widespread infiltration of fentanyl into the

1 drug supply is new, and this needs new approaches.  
2 And as I said, many people who are exposed to  
3 fentanyl are exposed without expecting it because  
4 they've used a counterfeit pill that they thought  
5 was actually a prescribed pill, or they're using  
6 another drug that is not an opioid, like cocaine,  
7 but it's laced with fentanyl, and they get exposed.

8           Increasingly, there are second-hand  
9 exposures that are also rising. We're seeing  
10 rising overdose deaths among toddlers who are  
11 coming across fentanyl in public settings, or  
12 fentanyl that may be elsewhere in the home.  
13 Individuals who knowingly use opioids, which is  
14 another important population that we need to  
15 deliver services to, are our now at higher risk  
16 than ever, given the variable potency of fentanyl  
17 in the drug supply. They may be seeking to use  
18 fentanyl but may not want highly potent opioids,  
19 and and can be surprised at how potent the opioids  
20 that they use are, and we need to deliver services  
21 to them as well.

22           I'll just tell you what patients, families,

1 and community members that I talk to every day tell  
2 me. Once they know about naloxone, they want it.  
3 So parents tell me, and I tell parents back, that  
4 naloxone is like a fire extinguisher. It's this  
5 thing that you want to have in your home for  
6 safety, and you hope never to have to use it, but  
7 you want to have access to one so that when you  
8 need it, it's right there.

9 But unfortunately, for most young people,  
10 families, and community members all across this  
11 country, current avenues of access are challenging.  
12 The current access that my patients have to get  
13 naloxone are that they get a prescription, but  
14 whereas I'm a provider who feels comfortable and  
15 knowledgeable about prescribing naloxone, many  
16 other pediatricians don't know about naloxone, or  
17 they don't know how to prescribe it, or they don't  
18 know how to talk to a young person about its  
19 careful use. So putting a prescriber between a  
20 person and their access to naloxone creates an  
21 unnecessary barrier.

22 Standing orders are present in many states,

1 including my own state of Massachusetts, but this  
2 requires people to go into a pharmacy with  
3 discretion left to the pharmacist about whether to  
4 dispense it, and the pharmacist may not know about  
5 the standing order, or one thing that I've observed  
6 in my own practice is that young people will go in,  
7 ask for access to naloxone as a standing order, and  
8 the pharmacist will say, "Oh, this isn't allowed  
9 for young people," which is actually an incorrect  
10 understanding of the policy. So again, having  
11 discretion left to the pharmacists, another  
12 healthcare provider here, creates a new barrier to  
13 accessing it that naloxone over the counter would  
14 overcome.

15 Then there are community distribution  
16 programs. Many of them are here in Boston, they're  
17 present all over the United States, but they really  
18 require a consistent supply and consistent access  
19 to naloxone, and that can sometimes run out.  
20 They're mainly available for people who are known  
21 to use drugs, and this isn't helpful for the young  
22 people and families who I work with, who want to

1 keep themselves safe but don't go to  
2 community-based programs that are meant for people,  
3 largely adults, who use substances heavily. Those  
4 services are critical for them, but young people  
5 aren't going to use those, so again, this is an  
6 unnecessary barrier.

7 I'll highlight as a final editorial point  
8 that the fact that we already distribute naloxone  
9 in the community to so many people actually  
10 demonstrates just how safely and effectively it's  
11 already being used without healthcare providers  
12 acting as a gatekeeper. Then I want to highlight  
13 as a final piece that many people avoid many of  
14 these settings because they are worried about  
15 stigma and, again, increasing access to naloxone  
16 and normalizing it can help to overcome that  
17 stigma.

18 Again, just let me explicitly state at the  
19 very end here what over-the-counter naloxone  
20 offers. Well again, layperson use of naloxone is  
21 safe and effective, and it's already happening  
22 across the United States through community-level



1 distribution. Over-the-counter availability will  
2 help people who are currently unable to access  
3 naloxone, and because opioid deaths have been  
4 climbing in all age groups and the benefits of  
5 naloxone are not age-dependent, I really want to  
6 make sure that as we make sure naloxone is  
7 increasingly accessible to everybody, it's in  
8 particular accessible to adolescents and family  
9 members who might, in my view, benefit most from  
10 its over-the-counter availability because they  
11 can't currently access it through other means.

12 Yes, instruction on the safe use of naloxone  
13 will be needed, and offering this education along  
14 over-the-counter naloxone availability is critical,  
15 but in my view, it will actually help to battle the  
16 stigma that I so often see in my own practice.  
17 Thank you so much.

18 **Applicant Presentation - Sarah Farnsworth**

19 DR. FARNSWORTH: Good morning. I'm Sarah  
20 Farnsworth, vice president of Scientific Affairs at  
21 PEGUS Research. This is a contract research  
22 organization that conducted the Human Factors Study

1 using the Narcan OTC labeling, and I was the  
2 principal investigator overseeing this study. My  
3 educational background and training is in  
4 neuroscience, and specifically the  
5 neuropharmacology of various drugs of abuse, so I'm  
6 particularly excited about the opportunity to be  
7 involved with such an important effort.

8 As mentioned earlier, the proposed OTC  
9 Narcan nasal spray device is identical to the  
10 prescription device, which was thoroughly assessed  
11 in human factors testing for prescription approval.  
12 Those studies, of course, demonstrated that the  
13 use-related risks were acceptable, and that  
14 laypeople could successfully administer Narcan  
15 nasal spray. Thus, the focus of the Human Factors  
16 Consumer Study was to assess if the proposed OTC  
17 labeling could appropriately guide correct use of  
18 Narcan in a simulated overdose emergency.

19 Ordinarily, an OTC switch program would  
20 consist of multiple consumer behavior studies.  
21 Label development is an iterative long process, but  
22 we're lucky; because of the public health

1 importance of naloxone, in an unprecedented step,  
2 the FDA developed a model DFL, as mentioned, and  
3 conducted its own pivotal label comprehension study  
4 to confirm the model DFL. You can see the study  
5 was published in the New England Journal of  
6 Medicine.

7 This is a snapshot of the proportion of  
8 participants who comprehended each key label  
9 message, which FDA will present in detail. The  
10 study demonstrated that information on the model  
11 DFL was well understood by a large, diverse group  
12 of consumers. Following that study, as Dr. Green  
13 mentioned, FDA specified that sponsor should  
14 utilize the model DFL and only update and test  
15 product-specific directions as needed, based on  
16 their own individual product. Since Emergent  
17 adopted the model DFL, additional label  
18 comprehension studies were not required, and only a  
19 human factors study was needed to verify the  
20 effectiveness of the DFL to drive appropriate use  
21 of Narcan nasal spray and evaluate any use-related  
22 risks.

1           Again, only the directions for  
2 administration in step 2 of the Narcan Drug Facts  
3 Label were modified from FDA's model label to be  
4 more product specific. Because these directions  
5 are product specific and represent the critical  
6 procedures to correctly administer Narcan, the  
7 three tasks listed as bullet points in step 2 for  
8 the primary endpoints tested in the study.

9           There's no formal hypothesis testing in the  
10 study, as is typical for consumer behavior studies;  
11 however, each of the primary endpoints was assigned  
12 to target performance standard or threshold, and  
13 these are based on the assessment of the clinical  
14 risk if the task is not performed correctly. In  
15 order to achieve these performance standards, the  
16 lower bound of the two-sided 95 percent confidence  
17 interval for each primary endpoint finding should  
18 meet or exceed the associated target performance  
19 standard.

20           A single secondary endpoint was also  
21 assessed in the study, which was a composite  
22 measure of the proportion of participants who

1 correctly completed both tasks 2 and 3 under  
2 step 2, which together are the most critical steps  
3 in administering Narcan. Task 1 was not considered  
4 in this composite endpoint calculation for the same  
5 reason. It was assigned a lower target threshold  
6 because it's possible to hold the device  
7 incorrectly but still successfully administer a  
8 dose by pressing the plunger firmly in some other  
9 way. This endpoint was presented descriptively, as  
10 the joint probability of success for composite  
11 endpoints is directly and inversely related to the  
12 number of discrete performance measures that are  
13 included.

14 All other steps on the proposed Drug Facts  
15 Label are from FDA's model DFL and are not specific  
16 to Narcan and were assessed as descriptive  
17 endpoints. These endpoints included the key  
18 subtasks in steps 1, 3, 4 and 5 that could be  
19 observed or verbally described in the human factors  
20 demonstration and are highlighted in yellow.

21 One point of clarification about how the  
22 study endpoints are calculated is related to the

1        nuances of some of the label directions. In OTC  
2        human factors studies, our experience shows that  
3        often some people naturally default to explaining  
4        procedures to demonstrate or show their  
5        understanding of specific tasks they feel might be  
6        harder to act out physically in a research setting  
7        or in a simulated-use setting; thus, correct verbal  
8        descriptions were considered in some endpoint  
9        calculations. Therefore, correct simulated use  
10       takes into account the number of participants who  
11       adequately performed each task or verbally conveyed  
12       a clear understanding of the task.

13                Those who did not demonstrate a task  
14        correctly were asked standardized label  
15        comprehension questions to assess their  
16        understanding of the label direction, and correct  
17        responses were included as acceptable in endpoint  
18        calculations. Endpoint findings presented later  
19        are calculated as percent correct in their action  
20        and percent acceptable in comprehension for the  
21        overall endpoint result.

22                I'll now provide a high-level summary of

1 study methodology. The study was designed in  
2 accordance and with the FDA guidance documents in  
3 mind for both the human factors studies and the  
4 label comprehension studies. Human factors  
5 guidance recommends a sample size of  
6 15 participants per expected or intended end-user  
7 group, which in this case would equate to an  
8 anticipated sample size of 60 completed interviews.  
9 Both the inclusion/exclusion criteria and the user  
10 groups recruited for the study were modeled after  
11 FDA's label comprehension study, including an  
12 overall target of 30 percent low literacy, and the  
13 study protocol and all materials were reviewed and  
14 approved by an independent IRB.

15 The study was conducted in four different  
16 geographic areas in the U.S. in March 2021, so  
17 strict COVID protocols were required to ensure  
18 participant and staff safety. Study participants  
19 were recruited by the research sites from the  
20 surrounding local areas, and social media and  
21 digital advertising were also utilized. Community  
22 outreach groups and clinics were also enlisted to

1 help with the recruiting effort.

2           Once the participants arrived on site, they  
3 were re-screened for qualification criteria, and  
4 informed consent was obtained. Parents or legal  
5 guardians were required to be present with their  
6 participants under age 18 to provide consent, and  
7 adolescent participants provided their assents.

8 Literacy was then assessed using the Rapid Estimate  
9 of Adult Literacy in Medicine, or the REALM test,  
10 for adults ages 18 and over, and the REALM-Teen was  
11 used for all participants under the age of 18.

12 These brief validated assessments enable us to  
13 classify participants as having either normal or  
14 low health literacy.

15           The interview began with minimal  
16 introduction or directions to maintain as much  
17 realism as possible for the simulated overdose  
18 emergency. Separate room at the research site was  
19 set up to simulate the experience of walking in to  
20 discover a family member, represented by a  
21 mannequin, who is in bed and unresponsive, then  
22 action-adventure movie playing rather loudly in the



1 background, and the same scene of that movie was  
2 utilized for each participant. This helped create  
3 distraction and stress in the environment, and  
4 contributed to the naturalism of the simulation.

5 An OTC carton of Narcan nasal spray  
6 containing two water-filled devices was on the  
7 nightstand in the room. Participants were told  
8 to -- this is a quote -- "use the package  
9 directions to physically demonstrate how you would  
10 use the product to treat your family members in a  
11 real overdose emergency," and they were informed  
12 that they verbally describe what they were doing as  
13 they completed the demonstration, if they wanted  
14 to. No training or prior exposure to the labeling  
15 was provided.

16 Trained interviewer was in the room  
17 carefully observing participant behavior and  
18 documenting if each step on the Drug Facts Label  
19 was performed correctly. Interviewers were trained  
20 to observe only and not intervene or answer  
21 questions once the simulation had begun, other than  
22 a few standardized scripted prompts within the data

1 collection instrument.

2 After the demonstration, standardized label  
3 comprehension questions were asked to assess  
4 comprehension of any directions that the  
5 participants failed to perform correctly, and this  
6 helps us assess whether the participants did  
7 comprehend the instruction but perhaps failed to  
8 perform it correctly because of other factors less  
9 related to the labeling, like being in a research  
10 setting or other personal cognitive factors like  
11 embarrassment, or if they truly did not notice or  
12 understand the label direction.

13 After the conclusion of the interview,  
14 debriefing questions were then asked to gather  
15 participant feedback about any steps they did not  
16 perform correctly or comprehend. After the  
17 interview, a second independent reviewer viewed the  
18 recording to also classify correct or incorrect  
19 performance. Any discrepancies between the on-site  
20 interviewer and the reviewer were then resolved by  
21 a third independent reviewer, and all reviewers  
22 were experienced clinical study monitors.

1           The study enrolled a diverse group of  
2 consumers and potential users of intranasal  
3 naloxone. Seventy-one participants were  
4 interviewed, which is a typical range for human  
5 factors studies. Approximately 30 percent of the  
6 sample qualified as low literacy after additional  
7 recruiting efforts were conducted to enrich the  
8 sample in order to meet the literacy target.

9           All user groups were represented, including  
10 adult all-comers or a general population of adults;  
11 general population adolescents ages 15 to 17;  
12 adults who report recent use of opioids; and then  
13 adult associates, which are friends, family,  
14 caregivers of a person who uses opioids. Again,  
15 these groups were modeled after the groups used to  
16 validate the model DFL.

17           Demographic characteristics of participants  
18 were diverse. The average age was 40, and the  
19 range was 15 to 76 years of age with really good  
20 representation of racial and ethnic minorities and  
21 those toward the lower end of the socio-economic  
22 spectrum. Lastly, three-quarters of study

1 participants were completely naïve to naloxone  
2 prior to the study and reported that they had not  
3 even heard of naloxone before they participated.

4 The proportion of participants who  
5 demonstrated correct or acceptable performance on  
6 each primary endpoint task is shown here, along  
7 with a two-sided 95 percent confidence interval and  
8 the target performance threshold assigned to each  
9 endpoint. It's important to note that 95 percent  
10 confidence intervals are, by definition, wide in  
11 studies with relatively small sample sizes that are  
12 customary and expected for human factors  
13 assessments.

14 Both primary endpoints 1 and 2 exceeded the  
15 target performance threshold. The lower bound of  
16 the confidence interval for primary endpoint 3 fell  
17 just short of the target; however, it's noted that  
18 94.4 percent of participants did perform this step  
19 adequately, which equates to just 4 participants  
20 who were classified as incorrect for this endpoint.

21 Primary endpoint results for subgroups of  
22 interests are presented here. Of note, all low

1 literacy participants and general population adults  
2 performed each subtask in step 2 correctly. It's  
3 very rare to see all low literacy participants  
4 outperform normal literacy participants, so that's  
5 quite remarkable. Two of the four participants who  
6 were classified as incorrect for at least one of  
7 the primary endpoints were adolescents and two were  
8 adults in one of the opioid cohorts.

9           The results for the composite endpoint were  
10 identical to primary endpoint 3, with the same four  
11 participants not in the numerator for this  
12 endpoint. Again, no target performance thresholds  
13 were assigned for secondary and descriptive  
14 endpoints.

15           These are the results of the first two  
16 descriptive endpoints, which are the steps taken  
17 directly verbatim from FDA's label presented here,  
18 and you can see that a majority of participants  
19 performed quite well. One point to call out is  
20 that all but one participant simulated or described  
21 calling 911. Label directs this was done  
22 immediately after giving the first dose of Narcan

1 nasal spray, and most who were scored as incorrect  
2 on this subtask actually called 911 prior to giving  
3 the first dose.

4 A couple of participants appeared to have  
5 done this by instinct as part of the simulation,  
6 and others did that because they happened to start  
7 reviewing with the DFL at step 3 on the back panel  
8 of the carton before turning to the side panel to  
9 see steps 1 and 2. There was one instance of a  
10 participant reviewing the wrong panel that did lead  
11 to a delay of approximately 50 seconds before he  
12 called 911.

13 Step 4, the majority of participants waited  
14 2 to 3 minutes prior to giving the next dose and  
15 verbally stated that they would wait 2 to 3 minutes  
16 to give the next dose even if they did not actually  
17 pause for that length of time in the simulation.  
18 Participants did very well in understanding and  
19 then following the directions to give a second  
20 dose, if needed. Ninety-three percent of  
21 participants said they would wait for emergency  
22 services and understood that direction.

1           While the second part of step 5 did not test  
2 as high as one would expect or presume on the  
3 surface, this is actually an artifact of the study  
4 related to the fact that the box contained 2 doses  
5 and participants had already administered the  
6 second dose in step 4, so many did not think to  
7 mention giving additional doses past that, if  
8 needed. And again, participants did understand and  
9 follow this general idea of giving additional  
10 doses, if needed, in step 4, and this message also  
11 tested very well in FDA's Label Comprehension  
12 Study.

13           To summarize, this study utilized a  
14 simulated overdose emergency that was in a lot of  
15 ways the worst case scenario for the simulation in  
16 that participants received very little instruction  
17 from the beginning about what was expected of them,  
18 they had no exposure to the Drug Facts Label prior  
19 to entering the overdose simulation, and a majority  
20 of participants had never even heard of naloxone  
21 prior to the study. Two of the three primary  
22 endpoints exceeded the predefined target

1 performance thresholds, with the third falling just  
2 short; but this, again, represented just 4 of  
3 71 subjects or 5.6 percent.

4 In conclusion, the results of this human  
5 factors study indicate that the proposed OTC  
6 labeling for Narcan is sufficient to guide correct  
7 administration by a diverse group of potential  
8 intended users in an OTC setting, including  
9 adolescents and those with lower literacy skills.

10 Thank you very much for your attention, and  
11 I'll now turn the presentation back to Dr. Vyas.

12 **Applicant Presentation - Manish Vyas**

13 MR. VYAS: Thank you, Dr. Farnsworth.

14 Before I talk about the benefit-risk topic,  
15 I would like to clarify briefly about the OTC  
16 packaging and the QSG. We had several discussions  
17 with the FDA and have proposed adjustments that  
18 should address FDA's request, and I will cover this  
19 later in my presentation.

20 With that, let's go to the next slide,  
21 please. As Dr. Owens noted earlier, there's over  
22 50 years of history with the use of naloxone, and



1 our postmarket safety data, with 7 years of  
2 community use, further supports the safety of  
3 Narcan. Let me preface by saying that the safety  
4 overview may be different from or rather differ  
5 from what you are used to seeing, and that is based  
6 on that naloxone has a well-established safety  
7 profile; then the safety data we see for Narcan are  
8 consistent with what we see with the use of  
9 naloxone and what is already described in the  
10 product label.

11 The overall rate of serious adverse events  
12 is low. It is less than 1 per 100,000 doses  
13 distributed. The rate of medication error or  
14 misuse reported to Emergent is also low. Device  
15 failure is also reported very infrequently. Any  
16 product complaints that we receive that may be  
17 related to the device issue are investigated really  
18 thoroughly, and to date there have been no device  
19 reportable events identified.

20 Since the product launch in 2016, there have  
21 been a total of 1,078 event reports for  
22 473 individuals or cases. The table provides a

1 list of events that are greater than 2 percent out  
2 of the total 1078 events, and these reports are  
3 consistent with what we've been seeing from the  
4 FDA, FAERS, and WHO Vigibase databases as well. So  
5 when we compare this 1078 safety events with  
6 44 million doses distributed, the overall rate is  
7 very low.

8 So I do want to acknowledge that there are  
9 limitations that affect postmarket surveillance,  
10 and there's likely more underreporting of these  
11 events given the nature of this particular product.  
12 However, the greater majority of these adverse  
13 events reported are systemic symptoms that would be  
14 expected, and that is with the reversal or  
15 withdrawal of effects of opioids, and it is with  
16 low severity, and this is consistent with the use  
17 of naloxone.

18 As we just saw that the precipitated opioid  
19 withdrawal is an expected finding and it is a  
20 manageable risk, a few things that I'd like to  
21 highlight is that drug withdrawal is a known risk  
22 associated with naloxone, and it is reflected in

1 the current Rx and also the proposed Narcan OTC  
2 labeling. The severity and duration of the  
3 withdrawal syndrome are dependent on the type of  
4 opioid and the dose of naloxone that's being used.  
5 So while these opioid withdrawal syndromes are  
6 uncomfortable, these symptoms are generally not  
7 life-threatening, they are transient, and subside  
8 within about 2 hours. The rate of acute withdrawal  
9 syndrome with the serious outcome is low. Overall,  
10 the benefit of naloxone use and the risk of opioid  
11 withdrawal symptoms outweighs the risk of  
12 respiratory depression and possible death due to no  
13 treatment.

14 Shown here are some additional safety  
15 considerations. Based on the published data from a  
16 study among people who use heroin, there was no  
17 evidence of increased compensatory drug use  
18 following naloxone use and overdose training.  
19 Through community distribution programs, there's  
20 also been no evidence of increased risk, and as we  
21 all know, naloxone is not a controlled substance,  
22 and it has no effect on someone who does not have

1 any opioid in their system. As we have shown from  
2 the postmarket data, the medication errors and  
3 device failures are very low, and the potential for  
4 misuse is also very low.

5 Now I want to recap the data that we have  
6 presented and that demonstrates Narcan is suitable  
7 for OTC use. Our Human Factors Validation Study  
8 demonstrated that consumers can be directed only by  
9 the Drug Facts Label and without the need for  
10 specific training. Our package design as tested in  
11 the Human Factors Study successfully passed the  
12 Human Factors Study requirements.

13 Additionally, I want to highlight here in  
14 these images that you see that these are our  
15 proposed updates to the OTC carton and a proposed  
16 update to -- or rather an addition of a Quick Start  
17 Guide, and this is based on our discussion with the  
18 FDA leading up to this advisory committee meeting.  
19 The proposed new carton box is larger, but it  
20 allows for all five Drug Facts Label steps to be on  
21 one back panel as FDA has requested. These two  
22 items represent updates to what we have already

1 demonstrated by a successful human factors study  
2 and will further support what FDA has requested.

3 Narcan has been designed for community use  
4 in that laypersons are able to administer Narcan  
5 safely and effectively until the emergency services  
6 arrive. The label comprehension and the Human  
7 Factors Study demonstrated that laypersons are able  
8 to diagnose and use the product based on the  
9 proposed label.

10 What we have shown is that Narcan is safe  
11 and effective, and it is supported by prior  
12 clinical data, literature, and further confirmation  
13 of data from the community use. Overall, the  
14 benefit-risk profile is favorable and supports  
15 Narcan as the OTC product.

16 So in conclusion, we have just demonstrated  
17 from the data that we have presented today that  
18 Narcan nasal spray 4 milligram fulfills the  
19 criteria for "OTCness" and meets all the OTC  
20 requirements. At this point, I would like to thank  
21 the FDA and the committees for this opportunity,  
22 and we look forward to your questions and the

1 discussions. Thank you very much.

2 **Clarifying Questions for Applicant**

3 DR. COYLE: We will now take clarifying  
4 questions for Emergent. Please use the raise-hand  
5 icon to indicate that you have a question, and  
6 remember to lower your hand by clicking the  
7 raise-hand icon again after you've asked your  
8 question. When acknowledged, please remember to  
9 state your name for the record before you speak and  
10 to direct your question to a specific presenter, if  
11 you can. If you wish for a specific slide to be  
12 displayed, please let us know the slide number, if  
13 possible.

14 Finally, it would be helpful to acknowledge  
15 the end of your question with a thank you and end  
16 of any follow-up question with. "That is all for my  
17 question," so that we can move on to the next panel  
18 member.

19 We will begin with Dr. Higgins.

20 DR. HIGGINS: Thank you very much. My  
21 question is for Dr. Farnsworth or Dr. Vyas  
22 regarding the Human Factors Study, validation

1 study. I believe it's page 6 in the sponsor's  
2 briefing document, where there's reference to  
3 changes made to the product labeling. This was  
4 done in conjunction with the FDA, according to the  
5 sponsor, but I'm wondering about the reasons for  
6 the changes in addition to what was explained  
7 today.

8 Was there something useful learned during  
9 the Human Factors Study that impacted the choice of  
10 the intent to market labeling? Thank you.

11 MR. VYAS: Manish Vyas, and thank you,  
12 Dr. Higgins, for that question.

13 Yes, essentially, from the Humans Factors  
14 Study, as Dr. Farnsworth presented, we did learn  
15 about step 3. With regard to that step was the  
16 position, as one of the first steps on the back  
17 panel. What we decided to do was actually move  
18 that to the side panel instead of the back panel,  
19 and move the steps 1, 2, and 3 to the back panel, a  
20 proposed approach and what we submitted to the  
21 agency. That was based on the Human Factors Study  
22 information that we generated.

1           Let me also ask Dr. Farnsworth to see if  
2 there is anything additional she would like to add.

3           DR. FARNSWORTH: Thank you.

4           Yes, that's correct. The proposed changes  
5 to the label in the briefing book, in the  
6 intent-to-market carton that you have in your  
7 briefing book, were based on the Human Factors  
8 Study, and primarily the issues with some folks  
9 going directly to step 3 on the back panel, as was  
10 just mentioned. So this was a proposed mitigating  
11 change. It seems to be perhaps more instinctual  
12 for some participants to flip the box over and look  
13 at the back panels first.

14           Again, while some participants did this, a  
15 lot of them reoriented themselves, figured it out,  
16 and quickly started with step 1, but because some  
17 started the demonstration, that's why some folks  
18 called 911 first before proceeding to step 1.  
19 Again, not to jump ahead, but that would be  
20 mitigated by this intent-to-market configuration,  
21 but even further mitigated by the proposed vertical  
22 display that Emergent is negotiating with the FDA,



1 where all steps 1 through 5 are in a vertical,  
2 top-down order.

3 DR. HIGGINS: Thank you. That's all for  
4 now.

5 DR. COYLE: Thank you.

6 Dr. McAuliffe?

7 DR. McAULIFFE: Yes. Maura McAuliffe, East  
8 Carolina University. I believe this question would  
9 be for Dr. Farnsworth. I'm looking at the briefing  
10 documents, and on page 22, I noticed -- and this  
11 wasn't in the slides -- that the participants in  
12 the Human Factors Study were allowed as much time  
13 as they needed to review the mock packaging prior  
14 to initiating their response.

15 That really wouldn't be very realistic, and  
16 it was a simulated environment, I understand. But  
17 did you collect data on how long participants did  
18 take to respond after reading the DFLs and the  
19 packaging to initiate their response, and can you  
20 share that data with us? I think it is important,  
21 whether it's 30 seconds or 4 or 5 minutes. Thank  
22 you.

1 DR. FARNSWORTH: Thank you for your  
2 question. Sarah Farnsworth, PEGUS Research.  
3 That's a very good point. We compiled these data  
4 after they were in study report, but we have these  
5 data available for you today.

6 Can I get a slide 10, please? You can put  
7 that slide up on the screen.

8 The study report I think included one  
9 sentence in a spot that said they were allowed to  
10 review the label as long as they would like to.  
11 They weren't necessarily told that, though, so it's  
12 sort of unfortunate phrasing. They were told to  
13 use the product packaging to administer the product  
14 to their family member, and then they were asked to  
15 begin.

16 So in those circumstances, on average,  
17 participants administered the first dose quite  
18 quickly. You can see here that 1 minute 16 seconds  
19 was the average, and the range was 22 seconds up to  
20 164 seconds, the longest time to first dose, and  
21 over 70 percent of all participants gave the dose  
22 within at least a minute and a half.

1           That one and a half minutes includes the  
2 time it takes to open the package itself if the box  
3 was unopened; try to wake the person in step 1;  
4 open the carton; retrieve one blister pack; open  
5 the blister pack; and then hold the device and  
6 prepare to administer. We didn't tell them to  
7 rush, but we didn't say take all the time that you  
8 need either. We just asked them to review the  
9 labeling and begin, in the introductory script  
10 prior to the participant entering into the room and  
11 the simulation.

12           DR. McAULIFFE: That's very helpful. Thank  
13 you very much. I have no further questions.

14           DR. COYLE: Thank you.

15           Dr. Clement?

16           DR. CLEMENT: Thank you very much. Can you  
17 hear me?

18           (No response.)

19           DR. CLEMENT: Oh. Here, I'm reading it now,  
20 too. So if you can nod yes if you can hear me,  
21 that's great. I appreciate it.

22           I have three questions. The first is

1 related to Dr. Vyas or any of his team. It's  
2 relating to the storage of this product. We're  
3 going from an OTC, which is generally -- I mean,  
4 we're going from a prescription, where generally  
5 it's kept in the pharmacy or there's very clear  
6 description to keep at room temperature, et cetera,  
7 and so forth. I noticed in the product description  
8 that it's stable up to 104 degrees, but I'm  
9 thinking, if this is going to be over OTC and it's  
10 going to be kept at the work site, there are a lot  
11 of work sites that can be quite hot. It may be in  
12 a parent's car, in the glove compartment of the car  
13 during the summertime.

14 So my question to you is, do the labels need  
15 to be changed? I know there's only so much space  
16 on the outside of the label to put things on, but  
17 is this a concern to the company? And I'll also  
18 address this to the FDA, that as it's rolled out to  
19 OTC, this drug can be rendered inactive in an  
20 unexpected way when it's kept in these unusual  
21 environments.

22 The question was to the team, Dr. Vyas.

1           MR. VYAS: Yes, Dr. Clement. Let me tell  
2 you, this OTC product is essentially identical to  
3 the approved Rx product, and that is actually used  
4 and distributed in the community-use settings. So  
5 the scenarios you described are pretty common, and  
6 we know this from the last seven years, where this  
7 product has been distributed, and we know that  
8 people keep it in all different kinds of  
9 environments.

10           We do have the data that supports all of the  
11 different temperature conditions and some  
12 excursions to a higher temperature, and that is on  
13 the the boxes as well, in terms of the storage. So  
14 there is nothing different between the Rx and then  
15 this particular presentation on the OTC. We often  
16 get additional inquiries and calls, depending upon  
17 the users, and we address those as well. But we're  
18 certainly open for any of the thoughts from the  
19 FDA, it's part of the review, but this won't be any  
20 different from how it's been used so far.

21           DR. CLEMENT: Okay. That's great.

22           I have a couple of questions for Scott

1 Hadland. Being a practitioner, I'm in the trenches  
2 all the time, as well as you are, so I had a couple  
3 of questions for you in terms of how you would  
4 suggest for implementation. Some of the other  
5 ideas that came up in my mind if it's rolled out to  
6 OTC, first, is keeping 2 doses enough? I mean,  
7 we're talking about fentanyl being laced. You talk  
8 about fentanyl-laced drugs, fake drugs, that one  
9 dose can lead to incredibly high levels.

10 In your expert opinion, having two drugs in  
11 a package, is that enough to to meet that demand?

12 DR. HADLAND: I think it's a great question.  
13 The majority of overdoses are still reversed with a  
14 single 4-milligram dose of naloxone in most  
15 circumstances. I think the teaching that typically  
16 goes with naloxone administration is that step 1 is  
17 to administer naloxone and step 2 is immediately to  
18 call emergency services. Administration of  
19 naloxone doesn't preclude the need for further  
20 medical attention, and that folks should still  
21 reach out.

22 I do think that two per kit has been what we

1 have come to understand, and come to study, and  
2 come to become comfortable with in the last many  
3 years that we've had access to this product, so I  
4 think that having a kit with two is a natural  
5 extension of that. Again, certainly this could be  
6 a question to be explored further on down the road;  
7 whether, through research, if having more access to  
8 more sprays in a kit could be helpful.

9 DR. CLEMENT: Okay. Great.

10 The other question -- you're not off the  
11 hook yet. Being the clinician, there are a couple  
12 of things that came up with me.

13 I've been in a situation of being a first  
14 responder, either family members or people in the  
15 neighborhood, and stuff like that. Basically,  
16 going from prescription to OTC, from the FDA  
17 standpoint or from a practitioner's standpoint,  
18 we're asking this passerby to be a first responder.  
19 Is there enough information on the product label  
20 and in the context of the situations that you see,  
21 that other forms of coma need to be explored?

22 I mean, the first one I'm thinking of, being

1 an endocrinologist, is could it be hypoglycemic in  
2 a diabetic kid that's sitting in the bathroom? A  
3 lot of these things I'm seeing on the news is that  
4 there are no pills around. There's no context that  
5 this person ever even took a pill. It was given to  
6 them in the bathroom, in the school, or the gym, or  
7 whatever. Is this something to think about; is  
8 that while the person's waiting for the drug to  
9 work, should they look for an ID bracelet,  
10 something on them to say, "Okay. Could they be  
11 diabetic? Could they have a seizure? Could they  
12 have other things?"

13 So basically, as a physician, we're teaching  
14 these people to be first responders, and to go  
15 through a differential diagnosis; and your thoughts  
16 on that.

17 DR. HADLAND: Yes, I think it's a good  
18 question. I agree. We want to make sure that  
19 people are being thoughtful when they respond, and  
20 that's why, again, I think the education that goes  
21 along with distribution of naloxone is so  
22 important, and why with an over-the-counter



1       availability, we still need to make sure that that  
2       education is taking place because, I think you're  
3       right, there are other causes of coma, for example,  
4       and we want to make sure that, again, just as I  
5       mentioned a moment ago, when someone is giving a  
6       dose of naloxone, the next step is that they're  
7       contacting emergency services just in case there is  
8       another cause for a young person or whoever it may  
9       be has become unresponsive.

10               I think the other thing that I would  
11       add -- I'll stop there. I'll stop there.

12               DR. CLEMENT: Okay. Then the last question  
13       for you, or anyone, is the legal ramifications. My  
14       wife's a lawyer. She's always asking me about  
15       things like this. I know this was not the official  
16       responsibility of the FDA -- this is more public  
17       policy issues -- but for most states, there are  
18       Good Samaritan laws to protect the passerbys.

19               If that's not on the label, or if there's  
20       not enough education for people to understand that,  
21       would that prevent them from actually responding?  
22       I've heard lots of situations, whether it's on the

1 metro, on the tarmac, or whatever, people are  
2 afraid to intervene because they're afraid that  
3 they could be held responsible if something  
4 happens.

5 I'm just interested as a practitioner. Have  
6 you seen any situations like that, where the person  
7 that could have helped did not help because they  
8 weren't aware that they're immune from legal  
9 efforts?

10 DR. HADLAND: Yes. I certainly have seen  
11 that, and actually not as a clinician but just as a  
12 person living in the city of Boston, where we have  
13 a number of people who overdose. I've been on the  
14 scene of overdoses and seeing how folks respond  
15 and, yes, sometimes people don't know what to do.  
16 They are afraid to intervene. I think part of  
17 making naloxone available over the counter is,  
18 again, to make it widespread to normalize its use  
19 as something that we want to make available in  
20 emergencies, and to really kind of battle the  
21 stigma and worries that people have about  
22 intervening in these moments.

1           This is an unprecedented time, where more  
2           than 100,000 people are dying every year, and we  
3           need to change the public's outlook and the  
4           public's response in these moments. And I really  
5           think that making naloxone more widely available is  
6           the first step to normalizing and educating about  
7           how to respond in these moments.

8           DR. CLEMENT: Okay. Thank you. That's all  
9           my question. Thank you very much.

10          DR. COYLE: Thank you, Dr. Clement. Thank  
11          you, Dr. Hadland.

12          I'd like to move on to Dr. Sprintz.

13          DR. SPRINTZ: Hi. This is Michael Sprintz,  
14          and I had a question for Dr. Vyas.

15          One of the things I want to acknowledge,  
16          too, and I know it's not necessarily part of the  
17          FDA's purview, is in pricing. I recognize that the  
18          cost of production influences the cost to the  
19          consumer, which influences access to care. So  
20          obviously, depending on how things are priced,  
21          that's going to influence who will actually  
22          purchase it or not, which does influence the access

1 to care.

2 One of my questions relating to this was,  
3 why was the mock carton labeling, or the intent to  
4 mock labeling, different from the prescription  
5 carton, which had the open panel? I made the  
6 assumption that it was a cost issue because it  
7 seemed like the prescription open panel had worked  
8 pretty well. I do like the idea of having all five  
9 together because I know that on the mock panel, the  
10 only one that didn't meet the the percent required  
11 was the call 911.

12 I'll stop there. I've got another question  
13 after that, though.

14 MR. VYAS: Yes. Dr. Sprintz, we switched  
15 between the Rx to OTC. This is essentially what  
16 was part of the FDA's consideration for the switch.  
17 So while we know that the Drug Facts Label or the  
18 Quick Start Guide for Rx has worked for that  
19 setting, as FDA had outlined, based on their Label  
20 Comprehension Study and the information that pretty  
21 much all of the sponsors were advised to do, was to  
22 use that particular label and switch that over for

1 more of the OTC.

2 So that's kind of what we used for our Human  
3 Factors Study, and that's what we are doing to  
4 switch that over. That's primarily the reason. I  
5 think, essentially, the cost isn't the factor in  
6 this particular thing, but it's more about are the  
7 instructions clear enough in an OTC setting and do  
8 we have the data to support it.

9 DR. SPRINTZ: Okay. Great.

10 DR. COYLE: Excuse me, Dr. Sprintz. Before  
11 you begin with your next question, I just want to  
12 clarify for everyone that we do have about  
13 10 minutes left and a number of questions still to  
14 address. So please consider focusing on this  
15 clarifying question as much as possible and keeping  
16 your comments as brief as possible for the benefit  
17 of your fellow committee members. Thank you.

18 DR. SPRINTZ: Perfect. Thank you.

19 My last question, then, is do you have  
20 intention or are you planning on putting the  
21 instruction inside the blister pack for people that  
22 carry it?

1 MR. VYAS: Yes. The answer is yes. This is  
2 something that we've already proposed to the FDA,  
3 and we think that it would be appropriate, as we  
4 understand that sometimes people separate the  
5 blister pack from the box. We do have an image  
6 that we can show you.

7 Slide 122 up. This is very similar to the  
8 current Rx version of the blister pack, and then  
9 the OTC version would also be essentially very  
10 similar, and it would be within that carton. So  
11 yes, this is what we're proposing, and we'll work  
12 with the FDA.

13 DR. SPRINTZ: Alrighty. Thank you very  
14 much. That's all my questions.

15 DR. COYLE: Thank you both.

16 Dr. Parker, please go ahead.

17 DR. PARKER: Thank you. I'm not sure who's  
18 best to answer this, so let me just put it out  
19 there. I wanted to ask about the expiration date  
20 and how much it matters. I believe I understand  
21 from the briefing document that it is proposed that  
22 it would be included on the blister pack. I didn't

1 see it on the principal display, and I wanted to  
2 know how much the expiration date matters. I  
3 believe in the briefing document, I read somewhere  
4 that it would be compared to the use of -- the  
5 importance of an expiration date on an EpiPen.

6 So I'm wondering if somebody could clarify  
7 that and also clarify where it is located, only on  
8 the blister pack or also maybe on the principal  
9 display because it's important, and whether or not  
10 that was looked at and tested in any of the studies  
11 done by the company.

12 Then a separate question relates to  
13 clarifying the font size on the proposed updated  
14 five-step guide on the eventual package that you  
15 would put out; just what's the font size on that?  
16 Thank you.

17 DR. COYLE: Dr. Parker, will you state your  
18 full name for the record as well for that question?

19 DR. PARKER: Yes. Ruth Parker.  
20 Yes. Ruth Parker. Thank you.

21 MR. VYAS: Thank you, Dr. Parker. With  
22 regards to the shelf life, it will be on our outer

1 carton or the package for the OTC, as well as it  
2 will be on the blister pack as well. This is  
3 consistent with how we had it for Rx as well. We  
4 can certainly actually show you how that would  
5 actually look like.

6 Slide 119 up, please. As you can see, this  
7 is the blister pack, this is how it would be. So  
8 you have a lot number and expiration date as well,  
9 and this would be similar to what would be on the  
10 outer box as well.

11 DR. PARKER: If I could just clarify, that's  
12 on the blister pack, which is inside of the carton.  
13 Where is this information on the outside so the  
14 consumer who's making the purchase knows how long  
15 this product is good for?

16 MR. VYAS: Yes. It will be likely on the  
17 top panel of the box. Currently that's where it's  
18 being located, so it would not be in the front of  
19 the PDP, but the top. That's kind of where it is,  
20 and that's also part of the FDA review. But it  
21 will be visible. I think the key is that it will  
22 be visible to the consumer as they look at the



1 expiration date for the product.

2 DR. COYLE: Dr. Parker, does that --

3 MR. VYAS: And if I can -- sorry. Go ahead.

4 DR. COYLE: I was just checking to see if  
5 that addressed all of her questions.

6 DR. PARKER: It addresses that one, and I  
7 think when we come back, having a mock of what you  
8 anticipate, where that'll be located. And then if  
9 you can also address the font size of your intended  
10 final packaging, both that of the principal  
11 display, the instructions on the back, and the  
12 Quick Guide, just the font size of those. Thank  
13 you so much for your help. I appreciate it.

14 MR. VYAS: Yes.

15 DR. COYLE: Thank you, Dr. Parker.

16 MR. VYAS: Yes. To answer that question, we  
17 can certainly pull up a slide that would show you  
18 exactly the side-by-side between what we had  
19 proposed that's currently on two panels versus the  
20 proposed on one panel.

21 Slide up, please. As you can see, on the  
22 left, that is what we basically submitted as part

1 of our OTC switch application, and through the  
2 discussion with the agency, what we are now  
3 proposing is a larger box which would accommodate  
4 all five steps on the single back panel.

5 Our main purpose -- and this is not really  
6 to the scale, but the main purpose is to ensure  
7 that the size of the pictograms and the fonts could  
8 be maintained the same, so this is part of the  
9 consideration. Just to give you an idea, the  
10 smaller box is consistent with the Rx box size, and  
11 that was primarily done to make sure that the  
12 consumers who are used to seeing Narcan, they are  
13 familiar with it, and it's easier to carry. But as  
14 we recognize, having all five steps is very  
15 important, so we will move towards one back panel,  
16 and that should address it.

17 Then the next slide, let's put that up as  
18 well, and I think that will show you a really good  
19 view of what that looks like. If you see it on the  
20 left, you see the instructions are split on that  
21 box in two sides, and the proposed box is larger,  
22 about, I think, 45 percent larger or so, but that

1 would accommodate everything that the FDA has  
2 requested.

3 DR. COYLE: Thank you. Thank you both.

4 I'm going to move on to Dr. Ginsburg.

5 Before you ask your question, Dr. Ginsburg, I just  
6 want to note for the panel, for the committees,  
7 that this will be our last question before we head  
8 into a break and the remainder of our agenda. If  
9 at all possible, we will circle back to allow those  
10 of you with your hands raised to come back. So  
11 stay tuned for that opportunity, if it becomes  
12 available.

13 Dr. Ginsburg?

14 DR. GINSBURG: Thank you. Diane Ginsburg.

15 I appreciate the presentation. My question is a  
16 little bit related to something that Dr. Clement  
17 asked earlier, and it's related to the proposed  
18 packaging in step 4, about continuing to give doses  
19 every 2 to 3 minutes until the person wakes up. In  
20 the current labeling instructions, which I believe  
21 that's step number 7, talks about if available.

22 My question is related to knowing that

1       there's only 2 doses that are available and  
2       thinking about confusion of the individual trying  
3       to administer if the patient does not wake up  
4       after 2 doses. I know earlier, Dr. Green talked  
5       about response time for EMS, but I'm just a little  
6       bit concerned about that. I appreciate what  
7       Dr. Hadland said in regards to typically what is  
8       needed, but I just am a little uncertain about that  
9       piece of it, and I was wondering if anybody could  
10      speak to that. Thank you.

11               MR. VYAS: Yes. Thank you, Dr. Ginsburg.  
12      We have an expert, Dr. Jacobson. She does a lot of  
13      this education and training, and she does a lot of  
14      work within the community. I would like to invite  
15      Dr. Jacobson to provide her perspective on this.

16               DR. JACOBSON: Hi. Thank you. Yes, I'm  
17      Anita Jacobson, and I'm a clinical professor at the  
18      University of Rhode Island, College of Pharmacy,  
19      and a practicing pharmacist.

20               As far as the overdose response amount  
21      needed, as was previously stated, typically people  
22      are responding to 1 or 2 doses of naloxone, even

1 with fentanyl and potent fentanyl analogs that are  
2 in the unregulated drug supply. We do community  
3 distribution and often provide individuals who have  
4 high risk with more than one kit, And that's  
5 something that as over-the-counter naloxone becomes  
6 available could be expanded. It's going to only  
7 enhance our ability to provide multiple kits to  
8 individuals, so I think this would be a step that  
9 would allow us to make sure that they have those  
10 additional doses that they need.

11 DR. GINSBURG: Thank you.

12 DR. COYLE: Thank you all for your questions  
13 and for your thoughtfulness in responding.

14 We are going to take a quick 15-minute break  
15 at this time. Panel members, please remember that  
16 there should be no chatting or discussion of the  
17 meeting topics with other panel members during this  
18 break. We will reconvene at 11:10 a.m. Eastern  
19 time.

20 (Whereupon, at 10:57 a.m., a recess was  
21 taken.)

22 DR. COYLE: Welcome back to all of you.

1 We're going to now proceed with the FDA  
2 presentations, starting with Dr. Dorothy Chang.

3 **FDA Presentation - Dorothy Chang**

4 DR. CHANG: Good morning. My name is  
5 Dorothy Chang. I'm a medical officer in the  
6 Division of Nonprescription Drugs I. In this  
7 presentation, I will provide a brief summary of the  
8 regulatory history of Narcan nasal spray, as well  
9 as a summary of postmarketing safety data related  
10 to intranasal naloxone use, including data from the  
11 applicant's general analyses from its company  
12 safety database, ARGUS, and FDA's analyses of  
13 safety topics of interest from FDA's adverse event  
14 reporting system or FAERS.

15 Narcan nasal spray was approved in 2015. It  
16 was the first approved intranasal naloxone product  
17 in the United States. The basis of its approval  
18 relied upon the safety and efficacy of an approved  
19 naloxone product under NDA 016636. Specifically,  
20 Narcan nasal spray demonstrated naloxone exposures  
21 exceeding that achieved by a 0.4 milligram  
22 intramuscular dose of naloxone. The product was

1 launched in 2016.

2 Before we discuss the postmarketing safety  
3 data, it is important to caveat that analyses of  
4 this kind of data have inherent limitations. These  
5 include underreporting, duplications, poor quality  
6 or incomplete reporting, and reporting biases, all  
7 of which lead to difficulty establishing a causal  
8 association between a suspect drug and a reported  
9 adverse event. In addition, it is often difficult  
10 to interpret the significance of an adverse event  
11 finding due to not knowing the total patient  
12 population using the drug.

13 While it's not possible to truly know the  
14 amount of patient exposure to a drug, we often use  
15 information about a drug's availability as a rough  
16 estimate for patient exposure to help provide  
17 context. FDA used a proprietary drug utilization  
18 database to provide the estimated annual number of  
19 naloxone units, including syringes, vials, and  
20 nasal sprays, sold by manufacturers to U.S.  
21 channels of distribution from 2017 to 2021. During  
22 this period, the total number of naloxone units

1 sold almost doubled, from approximately 5.1 million  
2 units in 2017 to 9.7 million units in 2021.  
3 Specifically, for intranasal naloxone during this  
4 period, the total market share for nasal spray  
5 formulations increased from 21 percent in 2017 to  
6 54 percent in 2021, translating to 5.3 million  
7 nasal spray units distributed in 2021.

8 We know that there are some limitations in  
9 these data, as they do not include direct sales or  
10 donations for manufacturers, for example, to harm  
11 reduction organizations; and we're aware that these  
12 direct sales and donations account for a  
13 substantial supply of naloxone, and therefore,  
14 these figures are underestimates of total naloxone  
15 availability.

16 FDA also analyzed dispensed prescription  
17 data. In 2017, the estimated annual number of  
18 dispensed naloxone prescriptions increased from  
19 roughly 359,000 prescriptions to just over  
20 1.5 million prescriptions in 2021, and this was  
21 mostly due to an increase in the nasal formulation  
22 prescriptions, which increased from 240,000



1 prescriptions in 2017 to nearly the 1.5 million in  
2 2021. Nasal formulation prescriptions accounted  
3 for 97 percent of the total dispensed naloxone  
4 prescriptions in 2021.

5           Again, although these data provide insight  
6 into the extent of naloxone available as  
7 prescription, it is an underestimation of potential  
8 patient exposure because it does not include  
9 naloxone that individuals receive outside of the  
10 pharmacy setting such as from harm reduction  
11 organizations. In addition, we note that naloxone  
12 is obtained as a preventive measure and stored  
13 until it may be needed in an emergency situation.  
14 If naloxone is not used before the product expires,  
15 the product may not end up being used at all, and  
16 thus another limitation is that the number of  
17 dispensed prescriptions does not necessarily  
18 reflect individual use of naloxone.

19           Moving on to our discussion of the ARGUS  
20 data, as noted previously, ARGUS is the applicant's  
21 pharmacovigilance safety database, and may be the  
22 most concentrated source of intranasal naloxone

1 postmarketing safety data, as all cases reported to  
2 ARGUS are presumed to be associated with an  
3 intranasal presentation of naloxone, and a majority  
4 of cases reported involvement of Narcan nasal spray  
5 specifically. As you can see in the table, of the  
6 397 cases reported for naloxone within the  
7 database, over 75 percent reported Narcan nasal  
8 spray specifically.

9 This slide provides descriptive  
10 characteristics of the cases identified in ARGUS.  
11 When age and gender were known, the majority of  
12 cases were reported in individuals 18 to 65 years  
13 of age, and more cases were reported in males than  
14 in females. Additionally, serious outcomes  
15 occurred in 93 cases or 23.4 percent of all cases.  
16 Serious cases most often occurred in adults 18 to  
17 65, with very few cases noted in adults greater  
18 than 65 or children less than 18; and notably, no  
19 serious cases occurring in children less than 2.

20 The adverse events reported in a case are  
21 recorded as preferred or PTs, and this table  
22 displays the most frequently reported preferred

1 terms occurring greater than 1 percent among  
2 serious cases. The top five PTs included death  
3 reported in 14 cases, followed by drug withdrawal  
4 syndrome, seizures, drug ineffective, and loss of  
5 consciousness. You can see the remainder of the  
6 list in the table. Most of these are not  
7 unexpected, considering the condition being treated  
8 and naloxone's known side effect profile.

9 As noted in the previous slide, the  
10 preferred term "death" was reported in 14 cases;  
11 however, we note that there were actually 26 cases,  
12 or 6 and a half percent of all cases, marked as  
13 having a fatal outcome. The applicant provided  
14 case summaries for our review.

15 Out of the 26 cases, it is noted that  
16 9 cases reported use of naloxone for an overdose;  
17 however, the overdose event involved other agents  
18 besides opioids as a potential cause of death. Two  
19 cases reported the victim had been given naloxone  
20 too late. One case reported naloxone use for the  
21 wrong indication that was unrelated to an overdose,  
22 and in the majority of fatal cases, there was too

1 little information to conclude a causal association  
2 between naloxone use and the fatal outcome.

3 With respect to special populations, in the  
4 pediatric age group, a total of 8 cases were  
5 reported; 5 cases reported a serious outcome,  
6 including 2 fatalities. When we look at the case  
7 details from these serious cases, 4 cases reported  
8 adverse events that appeared to be related to an  
9 underlying non-opioid drug overdose, including the  
10 2 fatalities. The remaining serious case reported  
11 seizure and mini-strokes in a setting of naloxone  
12 use for an opioid overdose.

13 In the geriatric population, a total of  
14 21 cases were reported with five having a serious  
15 outcome and no fatalities. Looking at the case  
16 details and preferred terms, we note that there  
17 were no predominant preferred terms reported. The  
18 top five preferred terms among serious cases in  
19 this age group generally did not appear to be  
20 related to naloxone use but could be the result of  
21 intoxication or the clinical sequelae of an  
22 intoxication event.

1           In pregnant women, there were a total of  
2   4 cases reported overall, with one resulting in a  
3   serious outcome with no fatalities. The single  
4   serious case reported premature delivery, but the  
5   case was confounded by maternal use of multiple  
6   psychoactive medications and nicotine.

7           Turning our attention to FDA's independent  
8   review of FAERS cases, the main focus of this  
9   analysis was to evaluate the postmarketing safety  
10  data for adverse events associated with intranasal  
11  naloxone products used in the community setting.  
12  FDA covered three safety topics of interest in  
13  greater detail, including an evaluation for  
14  naloxone-induced precipitated withdrawal, issues  
15  associated with limited efficacy, and device use  
16  errors, as well as other medication errors.

17           FDA's analysis of FAERS covered cases  
18  reported between January 2016 to November 2022.  
19  The analysis included any U.S. case reporting  
20  intranasal naloxone use in the community setting as  
21  determined by a detailed review of each case  
22  narrative. Exclusions are shown on the slide and

1 are in the briefing document.

2 A total of 318 cases were identified that  
3 involved the use of an intranasal naloxone product  
4 in the community setting. The top line  
5 characteristics for these cases show that there  
6 were 81 cases with serious outcomes, indicating  
7 that most cases reported outcomes that were not  
8 serious. About half the cases were administered by  
9 the general public or untrained laypeople, with  
10 relatively fewer cases describing administration by  
11 trained laypeople or a healthcare professional.

12 When information on the number of doses and  
13 cumulative dose of naloxone administered were  
14 available, most cases described use of 1 to 2 doses  
15 of naloxone, up to a cumulative dose of  
16 8 milligrams. Very few cases described  
17 administration of three or more doses or  
18 administration of greater than 8 milligrams of  
19 naloxone. Lastly, most cases reported the reason  
20 for use was emergency treatment of known or  
21 suspected opioid overdose, reflecting appropriate  
22 use of intranasal naloxone by a majority of the

1 general public. We will discuss other reported  
2 reasons for use in a later slide.

3 The set of cases involving intranasal  
4 naloxone in the community setting were then further  
5 evaluated for adverse events associated with  
6 naloxone-induced precipitated withdrawal. Cases  
7 were included where opioid withdrawal after  
8 naloxone administration was reported by a  
9 healthcare provider or reported by a layperson with  
10 supportive case details. For each of these cases,  
11 the Clinical Opioid Withdrawal Scale, or COWS, was  
12 applied to assess the signs and symptoms of opioid  
13 withdrawal.

14 COWS is an 11-item scale that provides a  
15 reproducible assessment of signs and symptoms of  
16 opioid withdrawal. COWS is used by clinicians to  
17 diagnose and manage opioid withdrawal, and further  
18 information about COWS scoring is included in the  
19 briefing document. For all cases meeting selection  
20 criteria, a COWS score was calculated to support  
21 the determination of opioid withdrawal, and if  
22 possible, quantify severity.

1           For cases not reporting on specific elements  
2 of the COWS score, for the purpose of the  
3 calculation, it was assumed that individual did not  
4 demonstrate that sign or symptom. For cases  
5 reporting specific elements, it was assumed that  
6 individual met the lowest point total for that sign  
7 or symptom unless enough detail was provided to  
8 meet a higher point value. As such, the COWS  
9 scores derived for each case represented the  
10 minimum score. Actual COWS scores for the cases  
11 may have been higher.

12           A total of 180 cases, or 56.6 percent, of  
13 intranasal naloxone cases were identified that  
14 either reported naloxone-induced precipitated  
15 withdrawal or described symptoms consistent with  
16 it. Thirty-five cases, or 19.4 percent, reported a  
17 serious outcome, but notably none resulted in  
18 death. Nearly 87 percent of cases reported a  
19 correct reason for using naloxone. About half of  
20 the cases reported use of less than or equal to  
21 8 milligrams of naloxone, while only 2.8 percent of  
22 cases reported cumulative doses greater than



1 8 milligrams. Among the 180 cases, a majority of  
2 cases scored a COWS score of less than 5, which is  
3 technically below the score for mild withdrawal.

4 For the topic of limited efficacy, the set  
5 of intranasal naloxone cases were further evaluated  
6 for any cases reporting naloxone use as ineffective  
7 in the case narrative and were supported by case  
8 details.

9 A total of 24 cases, or 7.5 percent, of  
10 intranasal cases were identified for having limited  
11 efficacy; 14 cases reported a serious outcome,  
12 including 2 cases that resulted in death. When  
13 information on the cumulative dose of naloxone use  
14 was available, a majority of cases reported less  
15 than 8 milligrams when naloxone was used, and most  
16 cases involved administration of 1 to 2 doses.

17 The reasons reported for limited efficacy  
18 included 6 cases reporting either too much time had  
19 elapsed since the overdose or the elapsed time was  
20 unknown; 5 cases reporting no response to a first  
21 dose but response occurring with a second dose;  
22 5 cases reporting various product issues such as

1 nothing came out; and 2 cases reporting not having  
2 enough naloxone. We note that multiple factors can  
3 contribute to the effectiveness of intranasal  
4 naloxone, including the severity of the overdose,  
5 if other substances were involved, the time elapsed  
6 between the overdose event and when naloxone is  
7 administered, as well as the administration  
8 technique.

9 The evaluation of limited efficacy cases was  
10 challenging. Seventy-five percent of cases did not  
11 report the specific opioid to be reversed, for  
12 example, partial agonists, or if other substances  
13 were involved in the overdose, both of which could  
14 affect the efficacy of naloxone. In most cases, it  
15 was not possible to fully ascertain causality of  
16 limited efficacy.

17 Device use error and other medication errors  
18 was our third topic of interest. A separate search  
19 was conducted with a strategy that included any  
20 U.S. FAERS report involving devices errors or  
21 medication errors involving naloxone nasal spray  
22 devices. Exclusion criteria are listed on the

1 slide and in the briefing document.

2 Nine cases were identified where device use  
3 error occurred. From these 9 cases, four types of  
4 errors were identified. In three cases, users  
5 sprayed the product into the air instead of the  
6 patient's nose. In three cases, users did not wait  
7 2 to 3 minutes between doses. In two cases, there  
8 was general confusion about the use of the device,  
9 and in the remaining case, the user administered  
10 2 doses into the same nostril.

11 Of the four main types of devices use  
12 errors, the error with the highest risk of harm is  
13 related to users spraying naloxone outside of the  
14 patients nostril because doing so will waste the  
15 dose of naloxone. Of the 9 cases, 6 cases  
16 described errors occurring in the setting of an  
17 emergency. Notably, there were no serious  
18 outcomes, and all 6 patients responded to treatment  
19 despite the device use error. The remaining  
20 3 cases described complaints from users trying to  
21 train themselves on the use of the product in a  
22 non-emergency setting.

1           Besides devices use errors, FDA identified  
2 two other types of medication errors. The first  
3 was related to the use of naloxone nasal spray for  
4 the wrong indication, which was noted in 58 cases.  
5 In these cases, some patients reported accidentally  
6 using intranasal naloxone instead of another nasal  
7 spray, such as a sinus or allergy treatment. Some  
8 patients reported not knowing naloxone's indication  
9 but using the product anyway, and some cases did  
10 not report the reason for wrong use. Notably, only  
11 3 cases resulted in serious outcome.

12           A second type of medication error was  
13 related to accidental wrong storage conditions in  
14 which consumers stored their intranasal naloxone  
15 product in freezing or very high temperatures such  
16 as in the glove compartment of their car. It was  
17 noted that there were no serious outcomes related  
18 to these storage issues.

19           In conclusion, the postmarketing safety data  
20 for intranasal naloxone use in the community  
21 setting did not indicate any new or previously  
22 unrecognized safety issues. Cases demonstrated

1 that in the community, consumers generally  
2 administered intranasal naloxone for the correct  
3 indication, and the majority of cases had  
4 non-serious outcomes. There are relatively few  
5 cases identified reporting serious naloxone-induced  
6 precipitated withdrawal or limited efficacy.

7 The assessment for device use errors  
8 demonstrated that the user error with highest risk  
9 of harm is related to a potential missed dose from  
10 users spraying naloxone outside of a patient's  
11 nostril. The applicant's plan to continue  
12 co-packaging 2 nasal spray devices per carton may  
13 help to mitigate this risk. Lastly, errors related  
14 to use of the product for the wrong indication, as  
15 well as wrong storage conditions, may be mitigated  
16 by clear and prominent labeling that displays the  
17 product's name, indications, and storage  
18 information. Thank you.

19 **FDA Presentation - Barbara Cohen**

20 MS. COHEN: Good morning. I'm Barbara  
21 Cohen, the social scientist in the Division of  
22 Nonprescription Drugs II in the Office of

1 Nonprescription Drugs. I'm here today to discuss  
2 FDA's nonprescription naloxone model Drug Facts  
3 Label or DFL study. I want to acknowledge the  
4 overall work of my FDA statistical colleagues for  
5 their collaborative role in the design and analysis  
6 of this study, really, since its inception, as well  
7 as Dr. Chang's input into today's presentation.

8 Before I discuss this study, as background,  
9 I want to note that our clinicians consulted with a  
10 number of outside experts on the contents of the  
11 Drug Facts Label. I'm going to be discussing this  
12 pivotal Label Comprehension Study that validated  
13 the DFL so that you're knowledgeable about the  
14 previous relevant work that has gone into  
15 development; however, the DFL content and the  
16 pivotal study are not the focus of this meeting.

17 I'd now like to provide a brief overview of  
18 what label comprehension studies are for those of  
19 you who are unfamiliar. Label comprehension  
20 studies are conducted for many nonprescription to  
21 nonprescription switch NDAs. The objective in a  
22 nutshell is to assess consumer understanding of the

1 proposed DFL that the applicant is putting forward.  
2 The basis of recommended study design and conduct  
3 for these studies are discussed in FDA's Label  
4 Comprehension Guidance for Industry, which was  
5 published in 2010.

6 To touch on a few key points here about  
7 study methodology, for one thing, these are  
8 quantitative studies. FDA asks that  
9 demographically diverse populations be enrolled.  
10 The limited literacy subpopulation should be at  
11 least 30 percent, reflecting the estimated  
12 representation of the actual population.  
13 Participants are given a DFL to read at their own  
14 pace, and then asked questions about it. It's not  
15 a test of memory. They can refer back to it  
16 whenever they want. Ultimately, however, these  
17 studies can only address comprehension; they cannot  
18 predict actual behavior.

19 As a brief explanation about endpoint,  
20 typically, the applicant identifies the primary  
21 endpoints and establishes the target thresholds for  
22 those endpoints a priori. Each endpoint should

1 reflect the clinical significance of the DFL  
2 statement that is being assessed. Findings are  
3 typically reported as not only point estimates but  
4 also as to how they align with the lower bound of  
5 the 95 percent confidence interval. Typically,  
6 label comprehension studies have multiple primary  
7 endpoints and are designed to assess comprehension  
8 of all of them. Additionally, there can be  
9 secondary and exploratory endpoints, which  
10 typically are reported as point estimate with no  
11 associated threshold.

12 It's important to note that, also, in the  
13 case nonprescription consumer behavior studies, the  
14 thresholds are targets. They're not hard pass/fail  
15 stops. If an endpoint comes relatively close to  
16 meeting a threshold, and we can discern why it  
17 didn't, there may be other potential ways to  
18 enhance consumer understanding. Regardless,  
19 ultimately that becomes part of the risk-benefit  
20 analysis in deciding whether to approve the drug.

21 I want to step back for a minute and  
22 differentiate between label comprehension studies



1 and human factors studies. In label comprehension,  
2 the goal is to evaluate consumer comprehension of  
3 the DFL as a whole. In human factors, the goal is  
4 to evaluate whether the product user interface is  
5 safe and effective for the intended users, uses,  
6 and use environments.

7 Label comprehension studies are quantitative  
8 with target thresholds established a priori.  
9 Typically, a label comprehension study has hundreds  
10 of participants. Human factors studies are  
11 qualitative with at least 15 participants per user  
12 group. In addition, label comprehension studies  
13 require at least 30 percent of study populations to  
14 be of limited literacy, whereas human factors ask  
15 for the sample to be based on the intended user  
16 population.

17 Finally, with regard to the assessments of  
18 steps in the administration of a product, label  
19 comprehension studies, as this one did, can employ  
20 cognitive walkthroughs, where participants are  
21 asked to verbalize the steps they would take. In  
22 human factors studies, the participants are asked

1 to physically simulate the steps that they would  
2 take using, for instance, a mannequin, and perhaps  
3 employing techniques to simulate a high-pressure  
4 emergency situation.

5 Now back to label comprehension. Typically,  
6 the applicants conduct these studies, and FDA  
7 analyzes the data and reviews the findings once the  
8 NDA is submitted. Often, the companies, as a  
9 preliminary step, conduct formative research to  
10 craft and optimize this label before finalizing it,  
11 and then conducting pilot and the pivotal studies.

12 In the case of nonprescription naloxone,  
13 some potential applicants in 2015 told FDA that  
14 they did not have the resources and bandwidth to  
15 conduct all of this research on their own;  
16 therefore, FDA decided to take on the  
17 responsibility and cost of developing a model DFL  
18 on its own, contracting out for all of the  
19 requisite research. Under this paradigm, the only  
20 task for applicants would be to assess those parts  
21 of the DFL that pertained to their particular  
22 product.

1           There are many challenges for our clinicians  
2           in developing this particular model DFL. Atypical  
3           for a nonprescription product, this product is to  
4           be administered in an emergency, life-threatening  
5           situation. We needed to assume the worst case  
6           scenario, namely that consumers might never look at  
7           the DFL prior to the need to use it; therefore, the  
8           key steps in product administration needed to be  
9           presented clearly and succinctly and with  
10          accompanying pictograms for optimal clarity.

11           Furthermore, FDA did not know which dosage  
12          forms would be proposed for eventual  
13          nonprescription use, and we also did not know how  
14          the applicants would eventually choose to package  
15          the product; therefore, general language needed to  
16          be utilized in this model. Applicants were advised  
17          that they would need to develop and test  
18          specifically the new information that needed to be  
19          added about the use of their particular products.

20           FDA initiated development of the DFL in  
21          2016. Our clinicians consulted with outside  
22          experts in addiction treatment and with internal

1 experts in communication, with the result that an  
2 innovative draft DFL was developed, innovated with  
3 adjacent pictograms to enhance communications of  
4 key concepts. We awarded a contract to conduct all  
5 of the prior label comprehension research, not just  
6 the pivotal study, but also the formative work.  
7 This project is sometimes referred to by the  
8 acronym of its name, CONFER. The pivotal report  
9 and accompanying data were reviewed by a firewall  
10 FDA team, and a special report on the study was  
11 published in the New England Journal of Medicine.  
12 There's a link to that in your backgrounder.

13 Now I'll discuss the pivotal study itself.  
14 The study populations were as follows. Adults who  
15 use opioids, either heroin or nonprescription;  
16 friends and family members of adults who use  
17 opioids; and general population adults and  
18 adolescents; that is, they were all-comers  
19 recruited from typical marketing research  
20 databases.

21 This slide depicts the diverse demographics  
22 of the study population. In the interest of time,

1 I'm not going to go through everything. Continuing  
2 on this slide, you can see almost 20 percent of the  
3 sample is under the age of 18. Here are the  
4 thresholds that we established a priori. As you  
5 can see, comprehension of step 3, "Call 911  
6 immediately," was determined by our clinicians to  
7 be the highest level of clinical importance. The  
8 rationale was that if nothing else was done  
9 according to the label, the calling of 911  
10 hopefully meant that emergency help would be on its  
11 way with trained EMTs.

12 As contact, 90 percent is typically the  
13 highest threshold level that is incorporated into  
14 the consumer behavior study. Comprehension of the  
15 other four steps were assigned a prior threshold of  
16 85 percent, which typically is assigned to  
17 endpoints that are not as critical as the  
18 90 percent one, but still important. Finally,  
19 comprehension of what the product is used for and  
20 signs of overdose were assigned an 80 percent  
21 a priori threshold, determined by our clinicians to  
22 be important enough to be a primary objective but

1 not as important as the others.

2 Here are the results. As you can see,  
3 comprehension of most of the endpoints met or  
4 exceeded the lower bound of the 95 percent  
5 confidence interval. The exceptions were call 911,  
6 which had an 87.9 percent lower bound, and closely  
7 approximated the 90 percent threshold but did not  
8 achieve it, and the comprehension of steps 1, 2 and  
9 3 combined, which at 78 percent did not achieve the  
10 desired 85 percent threshold.

11 Here are the key results for the other  
12 primary endpoint. Even though the thresholds for  
13 these were only 80 percent, comprehension of both  
14 of these scored above 90 percent. There are also  
15 secondary endpoints in this study, and here you can  
16 see that these scored relatively well, with the  
17 exception of the comprehension of steps 1 to 5  
18 combined.

19 Finally, with regard to exploratory  
20 endpoints, the vast majority of people understood  
21 the concept of waiting 2 to 3 minutes between  
22 doses, and the majority could proactively offer a

1 correct definition of what was an opioid. In  
2 summary, call 911 closely approximated but did not  
3 reach the target, and we therefore recommended that  
4 applicants further assess whether comprehension of  
5 the instruction to call 911 immediately may be  
6 improved. However, the DFL was acceptable with  
7 appropriate changes to the model DFL to address  
8 individual products' delivery system and those  
9 specific instructions for use. Comprehension of  
10 those would need to be assessed through human  
11 factors or additional label comprehension, if  
12 appropriate. Thank you.

13 **FDA Presentation - Millie Shah**

14 DR. SHAH: Good morning. My name is Millie  
15 Shah, and I'm a human factors reviewer in the  
16 Division of Medication Error Prevention and  
17 Analysis II. Today, I will be presenting on the  
18 Human Factors Validation Study. I will start with  
19 a description of the nonprescription Narcan  
20 product's user interface, along with a comparison  
21 to the prescription Narcan product, which will  
22 provide the context for why a human factors

1 validation study was necessary for the  
2 nonprescription Narcan product.

3 Next, I will provide an overview of general  
4 HF study methodology principles, along with a  
5 summary of the nonprescription Narcan product's HF  
6 validation study design. Then I will provide a  
7 summary of the key HF validation study results, and  
8 end with potential recommendations for the AC  
9 panel's consideration.

10 I'd like to start by first defining the term  
11 "user interface." Here you see images of the  
12 nonprescription product's user interface, which  
13 refers to all points of interaction between the  
14 product and the user, including elements such as  
15 packaging, like the carton and the blister; the  
16 product's labels, including the Drug Facts Label,  
17 which is required for nonprescription products; and  
18 the device. It's important to remember that the  
19 user interface includes any element of the product  
20 that the user sees or touches, not just the device.

21 I'd like to note that I'm going to present  
22 the information, including the Human Factors



1 Validation Study results, and labels and labeling  
2 submitted by the applicant on September 29, 2022;  
3 however, we acknowledge that aspects of the user  
4 interface that the applicant just presented in  
5 their slides differ from what was originally  
6 submitted with the supplemental NDA.

7 Here you see a comparison of the  
8 prescription Narcan product directions on the left  
9 and the originally submitted nonprescription  
10 product carton directions on the right. FDA  
11 previously reviewed the HF validation study results  
12 for the prescription Narcan product, and concluded  
13 that the study results supported safe and effective  
14 use.

15 So why was an HF validation study necessary  
16 for the proposed nonprescription Narcan product?  
17 Well first, the change in marketing status from  
18 prescription to nonprescription warrants HF data to  
19 evaluate whether intended users can use the product  
20 safely and effectively without the intervention of  
21 a healthcare provider; second, as you can see here,  
22 the way the directions are presented and the

1 directions themselves differ between the  
2 prescription product and the nonprescription  
3 product.

4 For the prescription product, the carton  
5 contains a flap on the front that opens to  
6 directions on the same viewable surface, whereas  
7 for the nonprescription product, the DFL directions  
8 span over two different panels, the back and the  
9 side, making the complete directions viewable only  
10 after rotating the carton. I will discuss the  
11 implication of this when I summarize the HF  
12 validation study results in subsequent slides.

13 So although the model DFL tested well for  
14 comprehension in the CONFER label comprehension  
15 study, the nonprescription product represents an  
16 unvalidated user interface that had never been  
17 evaluated in a simulated use scenario that mimics  
18 actual use with representative users performing the  
19 tasks.

20 Here you see a comparison of the  
21 prescription blister packaging on the left and the  
22 originally submitted nonprescription blister

1 packaging on the right. Another important  
2 difference to note is that while the prescription  
3 product includes a Quick Start Guide with the  
4 directions folded in each blister package, the  
5 nonprescription product does not. Because some  
6 users may remove the blister package from the  
7 carton and only have the blister package available  
8 during an opioid overdose emergency, thereby not  
9 having access to the DFL directions, this change to  
10 remove the Quick Start Guide from the blister  
11 package also represents an important difference to  
12 the user interface that warranted evaluation in the  
13 HF validation study.

14 Here is a comparison of the nonprescription  
15 product's DFL on the left and the model DFL  
16 evaluated in the CONFER Label Comprehension Study  
17 for comprehension on the right. Although steps 1,  
18 3, 4 and 5 figures and texts for the the  
19 nonprescription DFL directions are identical to the  
20 model DFL, some key differences I'd like to point  
21 out with the originally submitted nonprescription  
22 DFL include the directions being split across two

1 panels, with steps 1 and 2 on the back panel and  
2 steps 3, 4 and 5 on the side panel; whereas the  
3 model DFL includes all five directions on a single  
4 panel. Also, in step 2, although the figure is  
5 identical to the model DFL, there are differences  
6 in text. Here is a figure of the proposed  
7 nonprescription device, which is identical to the  
8 prescription product.

9 Now, I'd like to provide an overview of  
10 human factors studies, which are conducted under  
11 simulated use conditions with representative users,  
12 where no drug is administered to participants;  
13 rather, participants administer a placebo-filled  
14 device to a mannequin in a test environment that  
15 mimics real-world use conditions.

16 The objective is to evaluate whether the  
17 product's user interface is safe and effective for  
18 the intended users, uses, and use environments.  
19 The results are analyzed qualitatively by observing  
20 user's interactions with the product user interface  
21 and collecting subjective user assessments of their  
22 experience to assess the adequacy of the user

1 interface design.

2 So although the number of use errors is  
3 recorded, the goal is not to quantify the frequency  
4 of any particular use error; instead, the purpose  
5 is to evaluate every use error to identify whether  
6 any aspect of the user interface contributed to  
7 confusion or caused people to use the product  
8 incorrectly. In summary, the number of use errors  
9 is not as important as why they occurred.

10 When sponsors are designing the Human  
11 Factors Validation Study, FDA encourages them to  
12 submit the HF validation study protocol for review  
13 prior to conducting the study to ensure that the  
14 methodology is acceptable and to provide  
15 recommendations for the user interface from a  
16 medication error perspective. It's important to  
17 note that the applicant did not submit the HF  
18 validation study protocol for the agency's review  
19 prior to conducting the study. Upon our review of  
20 the HF validation study results, we identified  
21 several methodology limitations that need to be  
22 considered when interpreting the study results.

1           In the next few slides, I will discuss  
2           specific study design elements; general human  
3           factors validation study methodology principles in  
4           the second column; summarize the details of the  
5           Narcan HF validation study methodology in the third  
6           column; and finally discuss the limitations of the  
7           Narcan HF validation study.

8           First, in terms of user groups, generally a  
9           minimum of 15 representative users are included per  
10          distinct user group. The Narcan HF validation  
11          study included 71 participants across 4 user  
12          groups, including adolescents aged 15 to 17 years  
13          old; therefore, the HF data collected cannot be  
14          generalized to users less than 15 years old.

15          Second, in terms of limited literacy users,  
16          for nonprescription products, FDA generally  
17          recommends that each distinct user group include  
18          30 percent limited literacy participants to ensure  
19          adequate representation of the intended users in  
20          the study. However, the Narcan HF validation study  
21          did not include at least 30 percent limited  
22          literacy participants in 2 of the 4 user groups,

1 the adult general population and the adult opioid  
2 user associates; therefore, the distribution of  
3 limited literacy participants may have introduced a  
4 bias with tendency towards positive performance in  
5 the affected user groups.

6 In terms of study sequence, participants are  
7 observed performing tasks in a simulated use  
8 scenario and should be given an opportunity to use  
9 the product user interface as independently and  
10 naturally as possible. However, in the Narcan HF  
11 validation study, all participants were allowed as  
12 much time as needed to familiarize themselves by  
13 reviewing the nonprescription product and its DFL,  
14 and then were asked to demonstrate administration  
15 of the product.

16 While some users may have the opportunity to  
17 familiarize themselves with the product labeling  
18 before administration of the product, in an actual  
19 emergency, some users may have limited time to  
20 interact with the product labeling; therefore, the  
21 data collected does not capture this highest risk  
22 use scenario.

1           Next, in the Narcan HF validation study,  
2 moderators used leading language and a "think  
3 aloud" method, where all participants were  
4 instructed to review the product labeling and told  
5 to think aloud as they completed the demonstration.  
6 Use of leading language and the "think aloud"  
7 method are unrealistic and may have introduced a  
8 bias towards positive performance because during  
9 actual use, users will not have someone reminding  
10 them to use the instructions or to talk through  
11 what they are doing. In summary, the  
12 familiarization period, leading language, and  
13 "think aloud" method are not representative of  
14 actual use scenarios and may have influenced  
15 participant behavior and performance.

16           Next, in terms of data collection,  
17 participants should be observed performing steps  
18 needed to use the product without interruption, and  
19 then are interviewed on their experience after the  
20 simulation. The HF validation study should collect  
21 qualitative data using information gathered from  
22 every use error, close call, or use difficulty to



1 identify whether any part of the user interface  
2 contributed to the use-related event and  
3 investigate the causes so that the design of the  
4 user interface can be optimized for safe and  
5 effective use.

6 Participants' successful completion of a  
7 task is based on observed performance rather than  
8 verbal descriptions of what they would intend to  
9 do. Use errors are recorded but, again, the  
10 purpose is not to quantify the frequency of any  
11 particular use error or establish acceptability  
12 with respect to a numerical acceptance criteria.

13 In the Narcan HF validation study, the  
14 applicant used quantitative thresholds for success  
15 to score each participant's performance of tasks as  
16 correct, incorrect, or could not be observed.  
17 Additionally, if participants did not perform the  
18 step or performed it incompletely, but clearly  
19 articulated the procedure they would intend to  
20 follow, the performance may have been scored as  
21 acceptable.

22 There are several limitations to the

1 applicant's data collection methods and analysis,  
2 including that some use errors, close calls, use  
3 difficulties, or instances of moderator  
4 intervention are scored as correct or acceptable,  
5 even if the participant failed to complete a step.  
6 Additionally, the applicant did not conduct a root  
7 cause analysis or collect participants' subjective  
8 feedback to understand why use errors, close calls,  
9 or use difficulties occurred in all instances.  
10 Therefore, FDA requested the root cause analysis  
11 and participants' subjective feedback for all use  
12 errors, close calls, and use difficulties, and read  
13 the participants' verbatim transcripts to determine  
14 the root cause and subjective feedback in some  
15 instances. FDA's review is focused on the  
16 qualitative data set.

17           Next, in terms of test materials, generally,  
18 the final intend-to-market user interface,  
19 including the labels and labeling, should be  
20 evaluated in the HF validation study. If changes  
21 are made to the user interface post-HF validation,  
22 generally, additional HF data may be needed to

1 support that the changes are effective and don't  
2 introduce new risks. However, the carton labeling  
3 evaluated in the Narcan HF validation study is  
4 different than the proposed intend-to-market carton  
5 labeling submitted with the supplemental NDA, which  
6 I will show in the next couple of slides.

7 The applicant has made several changes  
8 post-HF validation, and there is no HF data to  
9 support that the changes are effective and don't  
10 introduce new risks. Here you can see the  
11 extensive changes the applicant made between the  
12 carton tested in the HF validation study on the top  
13 and the intend-to-market carton labeling originally  
14 submitted with the supplemental NDA on the bottom.  
15 Some changes are in response to use errors observed  
16 in the HF validation study, which I will discuss in  
17 subsequent slides. Most importantly, the carton  
18 labeling has been modified post-validation by  
19 switching steps 3, 4 and 5 from the back panel to  
20 the side panel so that the back panel now starts  
21 with step 1, "Check if you suspect an overdose."

22 Here is a comparison of the principal

1 display panel of the carton evaluated in the  
2 HF validation study on the left and the  
3 intend-to-market carton on the right. Some changes  
4 appear to be cosmetic in nature, such as the colors  
5 and branding, while other changes to the principal  
6 display panel include different statements,  
7 relocation, and/or changes to the font size of  
8 important statements, such as the package type term  
9 and the quantity that impact use of the product.

10 Now I'd like to transition to reviewing and  
11 interpreting the HF validation study results. When  
12 interpreting the study results, it's important to  
13 focus on the qualitative results, which is done by  
14 assessing each use error, close call, or use  
15 difficulty first by identifying the root cause and  
16 determining whether any aspect of the user  
17 interface contributed; next, by determining the  
18 potential for harm, and then determining whether  
19 additional measures to eliminate or mitigate risks  
20 are necessary; and finally, determining whether  
21 additional HF data may be needed to support that  
22 the changes are effective and do not introduce new

1 risks.

2 Before providing a summary of the key  
3 HF validation study results, I remind you of the  
4 following study methodology limitations that need  
5 to be considered when interpreting the study  
6 results, including the age range of the adolescent  
7 user group that did not include participants less  
8 than 15 years; the inadequate representation of  
9 limited literacy participants in two user groups;  
10 the familiarization period, leading language, and  
11 "think aloud" method that are not representative of  
12 actual use scenarios; the data collection method  
13 that did not report root cause or participants'  
14 objective feedback for all instances of use error,  
15 close call, or use difficulty; and the changes made  
16 to the user interface post-HF validation.

17 Despite the study limitations, such as the  
18 familiarization period, leading language, and  
19 "think aloud" method that may have introduced a  
20 bias towards positive performance, several  
21 use-related events occurred that can be directly  
22 attributed to the user interface design.

1           In the next few slides, I'll be providing a  
2 summary of the HF validation study results,  
3 focusing on the key results with root cause or  
4 participants' subjective feedback that indicate  
5 some aspect of the user interface contributed to  
6 the use error, close call, or use difficulty. The  
7 complete qualitative data set is available in the  
8 AC briefing document.

9           Here are the key results related to the user  
10 interface for step 1, "Check if you suspect an  
11 overdose." I want to highlight a few examples from  
12 the full qualitative data set for the panel's  
13 consideration.

14           Several participants experienced use errors  
15 or close calls that were directly attributed to the  
16 layout of the DFL directions on the carton.  
17 Participants provided feedback, such as, "I started  
18 on step 3." "For some reason in the panic mode, I  
19 just read the back of the box and jumped into  
20 action and usually instructions are on one panel.  
21 It did kind of confuse me because when it says call  
22 911 and wait 2 to 3 minutes after the first dose, I

1 was like, 'Wait' I haven't given the first dose  
2 yet. I need to go back to the beginning," and  
3 "Where is step 1?" These participants provided  
4 feedback that directly points to the user interface  
5 design contributing to use errors that can result  
6 in no dose or delayed administration of naloxone.

7 Here are the key results related to the user  
8 interface for step 2, "Give the first dose."  
9 Participants provided feedback that indicates  
10 confusion surrounding how to hold, orient, and  
11 operate the device correctly. For example, one  
12 participant did not keep the nozzle fully inserted  
13 in the nostril. Another participant tried to  
14 squeeze the device rather than pressing the  
15 plunger.

16 For some participants, the confusion stemmed  
17 from reviewing the DFL on the back panel first,  
18 which started with step 3, "Call 911." For  
19 example, one participant who started with step 3 on  
20 the back panel was confused about whether or not  
21 the device contained a cap that needed to be  
22 removed because they did not see the directions in

1 step 2. This caused a delay in naloxone  
2 administration while they tried to figure out how  
3 to operate the device.

4 Here are the key results related to the user  
5 interface for step 3, "Call 911." As we saw with  
6 the results for step 1, some of the use-related  
7 events for step 3 can also be attributed to step 3  
8 being presented on the back panel of the carton,  
9 which led some participants to call 911 first  
10 rather than administering the first dose of  
11 naloxone. One participant spent about 50 seconds  
12 reading the wrong panel of the DFL first while  
13 determining how to proceed, which could result in  
14 delayed medical attention.

15 Here are the key results related to the user  
16 interface for step 5, "Stay." There were several  
17 use-related events attributed to confusion  
18 regarding the number of doses in each device.  
19 Participants provided feedback such as, "I couldn't  
20 figure out if there was more than one dose in one  
21 of these," and "There is nothing that conclusively  
22 tells me that there is one dose." Confusion



1 regarding the number of doses in the device may  
2 result in delayed administration of additional  
3 doses of naloxone or reuse of a used device.

4 As presented in the previous slides, several  
5 use-related events occurred that can be directly  
6 attributed to the user interface design, with  
7 participants turning to the back panel of the  
8 carton and starting with step 3, "Call 911,"  
9 bypassing step 1, "Check," and step 2, "Give the  
10 first dose," which appeared on the side panel of  
11 the carton. Users' difficulty locating where to  
12 start on the DFL directions or understanding the  
13 sequence of steps may result in delayed  
14 administration of naloxone. Furthermore, if users  
15 start with step 3, "Call 911," they may miss  
16 important information in step 1 regarding how to  
17 hold, orient, and operate the device, and that the  
18 device is to be administered in the nose. This may  
19 result in no dose or in wrong route of  
20 administration error.

21 Based on the use errors that were observed  
22 in the HF validation study, in the labeling

1 submitted on September 29, 2022, the applicant  
2 originally implemented a post-HF validation  
3 revision to the DFL by presenting step 1, "Check"  
4 and step 2, "Give the first dose" on the back  
5 panel, and step 3, 4 and 5 on the side panel;  
6 however, it's important to note that with this  
7 revision, the directions remain split over two  
8 panels.

9 We acknowledge that users who refer to the  
10 back panel of the carton first will now see step 1  
11 and step 2; however, it's unclear if this  
12 mitigation will effectively address the use errors  
13 observed without introducing new risks for error.  
14 For example, some users may overlook steps 3, 4 and  
15 5 on the side panel.

16 The applicant did not validate this proposed  
17 mitigation strategy, so we do not have supporting  
18 HF data to demonstrate that the proposed mitigation  
19 will address use errors; therefore, we propose the  
20 AC panel consider whether the applicant should  
21 redesign the carton such that the back panel  
22 includes all five steps of the DFL directions

1       uninterrupted and in the appropriate sequence, and  
2       whether the applicant should package a quick start  
3       guide within each blister package that displays  
4       steps 1 through 5 using text and figures consistent  
5       with the DFL directions. The Quick Start Guide  
6       should include steps 1 through 5 on a single-side  
7       page without breaks to minimize the risk of users  
8       missing steps.

9               It appears that in their presentation today,  
10       the applicant has prepared a mockup implementing  
11       our recommendation for the AC panel's  
12       consideration. We note that the applicant has not  
13       formally submitted the labeling presented today,  
14       and it has not been evaluated by FDA yet.

15               For step 2, "Give the first dose," some  
16       use-related events were related to device  
17       orientation, operation, and hand position on the  
18       device. Additionally, the second bullet of step 2  
19       states, "INSERT the tip of the nozzle into either  
20       nostril."

21               The word "tip" may result in users not fully  
22       inserting the nozzle into the nostril, which may

1 result in partial dose administration. Therefore,  
2 we propose the AC panel consider whether the  
3 applicant should revise the bullet to state,  
4 "INSERT the nozzle into either nostril," removing  
5 the word "tip," and whether the applicant should  
6 improve the carton labeling, including step 2's  
7 pictogram, for example, by incorporating elements  
8 of the hand and finger positioning on the device  
9 from the prescription Narcan pictogram.

10 For step 5, "Stay," use-related events  
11 occurred that can be directly attributed to the  
12 user interface due to confusion about whether each  
13 nasal spray contains a single dose or multiple  
14 doses. Therefore, we propose the AC panel consider  
15 whether the applicant should add a statement that  
16 each nasal spray contains only one dose of naloxone  
17 to the labels and labeling, and whether the  
18 applicant should revise the carton labeling to  
19 depict two nasal spray devices to minimize  
20 confusion on the number of nasal spray devices in  
21 each carton.

22 In conclusion, it's important to consider

1 the HF validation study methodology limitations I  
2 discussed previously when interpreting the study  
3 results, most important of which include the  
4 familiarization period, leading language, and  
5 "think aloud" method that are not representative of  
6 actual use; the data collection methods that did  
7 not report root cause analysis or participants'  
8 objective feedback for all use-related events; and  
9 changes to the user interface post-HF validation  
10 that do not supportive HF data.

11 Despite the study limitations, such as the  
12 familiarization period, leading language, and  
13 "think aloud" method, that may have introduced a  
14 bias towards positive performance, several use  
15 errors occurred that can be directly attributed to  
16 the user interface design. These use errors may  
17 result in no dose or delayed dose administration of  
18 naloxone.

19 FDA has identified some potential  
20 recommendations for the user interface based on the  
21 root cause analysis and participants' subjective  
22 feedback. We ask that the AC panel take the study

1 limitations, the use-related errors observed in the  
2 HF validation study, and our potential mitigations  
3 into consideration during your discussion. Thank  
4 you.

5 **Clarifying Questions for FDA**

6 DR. COYLE: We will now take clarifying  
7 questions for FDA. Please use the raise-hand icon  
8 to indicate that you have a question, and remember  
9 to lower your hand by clicking the raise-hand icon  
10 again after you've asked your question. When  
11 acknowledged, please remember to state your name  
12 for the record before you speak and direct your  
13 question to a specific presenter, if you can. If  
14 you wish for a specific slide to be displayed,  
15 please let us know the slide number, if possible.

16 Finally, it would be helpful to acknowledge  
17 the end of your question with a thank you and the  
18 end of your follow-up question with, "That is all  
19 for my questions," so that we can move on to the  
20 next panel member. And I might suggest also that  
21 we limit the number of questions that we might be  
22 asking at a given time just out of courtesy for our

1 fellow panel members so that we can be sure to  
2 include as much participation as possible in the  
3 time allowed.

4 I'm going to start with Dr. Pisarik.

5 DR. PISARIK: Paul Pisarik. I just have a  
6 question. It may not be as important now as it may  
7 have been earlier, but in terms of the pictures  
8 that are on the back panel, in the prescription,  
9 they're labeled as 1, 2 and 3 with large numbers of  
10 1, 2 and 3.

11 Would it be wise to have 1, 2, 3, 4, 5 in  
12 big numbers next to the picture so that people know  
13 they should go from 1 to 2 to 3 to 4 to 5? Thank  
14 you.

15 (No response.)

16 DR. PISARIK: Sorry. Did you did you hear  
17 that?

18 DR. COYLE: We've heard the question.

19 FDA, can you respond?

20 DR. GREEN: This is Dr. Jody Green. I'm  
21 going to ask Dr. Millie Shah to respond, and then  
22 perhaps Ms. Cohen might have additional comments.

1 DR. SHAH: Hi. Thanks for the question.  
2 This is Millie Shah from DMEPA. Thanks for that  
3 comment. We'll take that into consideration.

4 DR. PISARIK: Thank you.

5 DR. COYLE: Hearing no additional comment  
6 from FDA, I'm going to call Dr. Horrow.

7 DR. HORROW: Yes. Thank you, Dr. Coyle. I  
8 have a clarifying question for the FDA relating to  
9 the minimum age requirement --

10 DR. COYLE: I apologize for interrupting.  
11 Can you please state your name for the record?

12 DR. HORROW: Yes. This is Dr. Jay Horrow.  
13 Thank you. I'm the industry representative.

14 I have a clarifying question for the FDA  
15 relating to the minimum age requirements. I tried  
16 the FDA links applied at the end of their slide to  
17 the guidance document, but it results in a page not  
18 found. So perhaps you can help me understand, does  
19 the FDA have in their guidelines a minimum age  
20 requirement for the label comprehension studies  
21 that are used, and does it have one for human  
22 factors?



1 I ask this because I note that the Label  
2 Comprehension Study conducted by the FDA involved  
3 no subjects younger than 15, yet the FDA wishes to  
4 have subjects younger than 15 in the Human Factors  
5 Study.

6 MS. COHEN: This is Barbara Cohen. Thank  
7 you for that question, and it's an important one.  
8 To answer the first part of your question, no, the  
9 label comprehension guidance does not specify a  
10 minimum age because that really depends on who the  
11 intended population is for a particular product.

12 Now, to your question about why we didn't  
13 include participants under the age of 15 in the  
14 Label Comprehension Study, I wanted to note that in  
15 our statement of work, when we envisioned this  
16 project and we sent it out for solicitation, we  
17 said that we would like middle schoolers to be  
18 included in that study population, so that was  
19 definitely our intent in the beginning.

20 What happened was that shortly after the  
21 project actually was awarded and started, we  
22 received a bit of informal feedback from our IRB,

1 and our IRB does not typically deal with consumer  
2 behavior studies. They were concerned about  
3 adolescents of any age, anybody under 18 being  
4 involved in these studies. They had a big concern  
5 about anybody under age 18, so we decided at that  
6 point that we needed to focus our further  
7 discussions with them on including ages 15 to 17 in  
8 the study.

9 Does that answer your question?

10 DR. HORROW: Yes. Thank you very much.

11 MS. COHEN: Okay.

12 DR. HORROW: Nothing to follow.

13 DR. COYLE: Thank you very much.

14 Ms. Coykendall?

15 MS. COYKENDALL: Hi. Liz Coykendall. My  
16 question deals with the packaging, and I guess  
17 either Millie Shah or Barbara Cohen could answer.

18 As the packaging will undoubtedly be  
19 presented by both Emergent BioSolutions, and after  
20 while, more generic opportunities to buy it from  
21 other companies or different types of packaging, is  
22 the packaging instructions going to be identical on

1 each box so that one can flow from the other no  
2 matter what package you buy? Thank you.

3 DR. MICHELE: Hello. This is Terri Michele.  
4 I can respond to that question. With regard to  
5 projecting, essentially, out into what the  
6 marketplace might look like ten years from now, I  
7 think one of the advantages of FDA actually  
8 conducting the Drug Facts Label Comprehension Study  
9 is that it does provide sort of a baseline of what  
10 consumers might expect to see on each package.

11 But remember that each device, each product,  
12 is going to be a little bit different in terms of  
13 what their specific product looks like, so by  
14 definition, some of the instructions for use will  
15 have to differ; and hence, why we are expecting  
16 sponsors to actually conduct these human factors  
17 validation studies to make sure that the  
18 instructions for use are well understood by  
19 consumers, and a naïve person could pick it up and  
20 figure it out.

21 MS. COYKENDALL: Perfect. Thank you.

22 DR. COYLE: Thank you.

1 Dr. Brent?

2 DR. BRENT: Thank you, Dr. Coyle.

3 I have a question for Dr. Green or any of  
4 her colleagues at FDA. It really relates to the  
5 content of the information on the label, on the  
6 DFL. I appreciate the fact that FDA took a lot of  
7 initiative here in getting it moving. I think this  
8 is an extremely important and innovative thing to  
9 do, but in putting together the label, I noticed  
10 that one of the recommendations that concerned me  
11 from the minute I started reading the briefing  
12 document was for somebody who doesn't respond to  
13 the first 2 doses, to continue to administer doses  
14 every 2 to 3 minutes, it's very unlikely that  
15 anybody who is opioid toxic -- and that includes  
16 the newer fentanyl derivatives, and that includes  
17 the nitazenes, which are very potent opioids, which  
18 are now proliferating through the drug supply.

19 Any of these people, despite having been  
20 exposed to one of those drugs, is very likely to  
21 respond to the first, and certainly to the second  
22 dose. And if they don't, it's because they're not

1 opioid toxic. They're down for some other reason.  
2 If it takes, say, 10 to 12 minutes for EMS to  
3 arrive in that intervening time period, a person  
4 could administer 5 or 6 additional doses of the  
5 drug, basically depleting any that's applied if  
6 they may have.

7           While you might say, "So they used a couple  
8 of extra devices," people carrying these devices  
9 are going to be most likely bias towards the  
10 population of people who are likely to come in  
11 contact with people who use drugs, and if they  
12 deplete their supply by using a lot of unnecessary  
13 doses, they may not have it for subsequent needs.  
14 So I'm really concerned about that particular  
15 recommendation, and I will stop now. Thank you for  
16 listening to this comment.

17           DR. MICHELE: Thank you, Dr. Brent. This is  
18 Terri Michele, FDA. So when the model Drug Facts  
19 Label was initially designed, we actually went  
20 through a series of iterative steps; and Ms. Cohen  
21 may comment further. But it wasn't just the study  
22 that was presented today; there was a lot of basic

1 work that was done, including discussions with a  
2 variety of different harm reduction groups, with  
3 academics, with a whole group of outside experts  
4 who had experience in this area, and then there  
5 were multiple steps in the initial iteration of the  
6 label.

7 One of the things that we discovered was  
8 that consumers may fear that -- let's say they give  
9 a dose, and they were very fearful that they  
10 couldn't give any more because they might be  
11 overdosing patients on naloxone. And while I fully  
12 acknowledge that if you are in a city, chances are  
13 pretty good that you call 911, and EMS is going to  
14 be there very rapidly. But let's suppose you are  
15 living in a rural area somewhere. It may take a  
16 very long time for the ambulance to arrive, and as  
17 such, you may need to give an additional dose  
18 sometime later when the patient starts to become  
19 sleepy again.

20 So the reason that that instruction is there  
21 is really to address this kind of inherent fear  
22 that we found in our initial testing, that patients

1 would be overdosed on naloxone.

2 DR. BRENT: May I follow up, please, very  
3 quickly? I appreciate that, and I think that's a  
4 very good point about there are situations where  
5 there might be delay for EMS to arrive. But there  
6 is a separate instruction on the label about if  
7 somebody wakes up and becomes re-sedated, then they  
8 should be re-dosed. And I wasn't really talking  
9 about that instruction; I was talking about the one  
10 to just continue to give it every 2 to 3 minutes if  
11 you don't get a response initially.

12 DR. MICHELE: Thank you for that comment.

13 DR. COYLE: Thank you for that.

14 DR. BRENT: Thank you for listening to me.

15 DR. COYLE: Thank you for that

16 clarification, Dr. Brent.

17 I'm going to move on to Dr. Walker-Harding.

18 DR. WALKER-HARDING: Yes. This is  
19 Dr. Leslie Walker-Harding. I had a question,  
20 again, about the less than 15 years, looking at  
21 this study. Given that a lot of people have kids  
22 who could be much younger, even 7 and 8 years old,

1 in the home, and the device is there in the home,  
2 and they're the only person to administer the  
3 medication. There are kids who live with this  
4 every day and their parents.

5 How did we manage that kind of thing in the  
6 past when kids have to be the one to administer the  
7 medication with their siblings, their grandparents,  
8 their parents as the only person possible to do it?  
9 Are we assuring that labeling -- I think somebody  
10 mentioned having large 1, 2, 3, 4, and then the  
11 pictures universally understood. Regardless of the  
12 age that we put on there -- it's only tested  
13 15 years and older -- clearly a number of people  
14 much younger would be able to help deliver this  
15 life-saving medication in their home if it's there  
16 for them to do so.

17 So how is that being envisioned to be  
18 addressed given the IRB limitations that you have?

19 DR. COYLE: FDA, do you have a response?

20 DR. MICHELE: Yes. Terri Michele, FDA. So  
21 again, it's certainly ideal if you can test all the  
22 way down. Sometimes that's not entirely practical,



1 so we do ask the committee to opine upon that. We  
2 also ask -- perhaps the sponsor may wish to comment  
3 on their Rx experience, which I certainly think  
4 would inform in this case. I'd note that the  
5 labeling does not say that you can't use this if  
6 you are under a certain age, so the labeling is  
7 very permissive, and certainly, as you note, there  
8 are very young children who are experiencing opioid  
9 overdose in their home.

10 DR. WALKER-HARDING: Thank you.

11 DR. COYLE: Thank you.

12 Dr. Sprintz?

13 DR. SPRINTZ: Hi. This is Michael Sprintz.  
14 Thank you. I did have a question for the FDA. I  
15 was thinking about the emergent situation, really,  
16 the panic time. A consumer's first attempt at  
17 reading instructions during an event where someone  
18 they care about or someone they know is  
19 unconscious, and they've got no medical background  
20 and the probability of panicking, one of the things  
21 that I was wondering is, has the FDA considered  
22 possibly a bright label on the blister pack,

1       stating, "If you do not know how to use this  
2       product, please use package instructions," because  
3       as I understand, at least they're talking about  
4       placing the package instructions in the blister  
5       pack, and especially if there's a literacy  
6       question, it may give them a moment to pause rather  
7       than fumbling with things and waste a dose,  
8       especially if it's their only dose.

9                So I was just wondering if the FDA has  
10       considered a label, or an additional label on the  
11       blister pack?

12               DR. GREEN: This is Dr. Jody Green speaking.  
13       We just want to say that the purpose of this  
14       meeting today is to gather your opinion. We've  
15       considered things, and we're very interested in  
16       what you have to say about what you think would be  
17       appropriate.

18               (Laughter.)

19               DR. SPRINTZ: I wasn't sure if it should be  
20       a clarifying question or a comment, so I would  
21       suggest that that be something that is considered.

22               DR. GREEN: Thank you. We'll certainly

1 considerate it.

2 DR. SPRINTZ: Thank you. That's all.

3 DR. COYLE: Thanks to all of you, and I  
4 guess a reminder to the panel that there will be  
5 time later for us to discuss recommendations, so as  
6 much as possible to focus this part of our meeting  
7 on clarifying questions for FDA.

8 I'm going to call on Dr. McAuliffe.

9 DR. McAULIFFE: Hi. Maura McAuliffe. I  
10 have a question. I don't know who in the FDA this  
11 would be addressed to, but there are two  
12 recommendations in your documents for the sponsor,  
13 and one is to consider if the step 2 pictogram  
14 could be further improved using the pictogram  
15 that's utilized in the prescriptive Narcan. And I  
16 agree; that is a much clearer pictogram than what I  
17 see currently being used.

18 The other recommendation was about language,  
19 inserting the tip of the notch of the nozzle into  
20 either nostril, where the word "tip" could result  
21 in user error, and I agree with that as well. I  
22 also noticed that in step 3, "Call 911," there's

1 lots of space there that could be improved upon.

2 That's a very important step.

3 But my question is, would the sponsor, then  
4 after making these changes, go back and do another  
5 label study and a human factors study as well?

6 DR. COYLE: Is there someone from FDA that  
7 can respond to that question?

8 DR. MICHELE: Yes, indeed. Hi. Terri  
9 Michele, FDA. Just in general, the way that we  
10 think about human factors studies is that as a  
11 general principle, if there are major changes that  
12 you're making from a human factors study -- your  
13 human factors study kind of failed miserably -- you  
14 would then go back and repeat that study. It is a  
15 small study, not a huge kind of thing to do.

16 But if there are fairly minor changes that  
17 were pretty clearly identified, then there is the  
18 potential to just make those changes and go forward  
19 with marketing. So that's another thing that we'd  
20 ask the panel to opine upon, is if any of the  
21 recommendations that you're making you would want  
22 to see retested.

1 DR. McAULIFFE: Thank you. That's helpful.

2 I don't have further questions.

3 DR. COYLE: Thank you. Thank you,

4 Dr. Michele.

5 This is Maria Coyle. I'm going to ask a  
6 follow-up question to that. It sounds like there  
7 is some latitude in terms of determining whether or  
8 not a human factors study needs to be repeated, and  
9 that would also be something that we could be  
10 thinking about in terms of the changes that the  
11 sponsor has made thus far to their label.

12 Is that correct?

13 DR. MICHELE: Yes.

14 DR. COYLE: Thank you.

15 I'm going to go ahead and call on  
16 Dr. Parker, and we have time for just one to two  
17 more questions.

18 DR. PARKER: Thank you. It's Ruth Parker.

19 I think this should go to Ms. Cohen. My congrats  
20 to the FDA on the model DFL, which is incredible,  
21 and I think really helps with moving this along.

22 I noticed that step 2, it seems like there

1 were instructions about product-specific directions  
2 for administration and the need to perhaps modify  
3 that. But I wanted to go back to the work that was  
4 done by the agency in creating the model DFL. What  
5 I like is there's more potentially words that  
6 were -- if I read it correctly -- "INSERT in nose  
7 and press," because the pictogram there seems to be  
8 aligned with the one that's being proposed by the  
9 sponsor here.

10 I wanted to know what we know about the  
11 comprehension around that wording because it seems  
12 like enhancing those specific words to "tip of the  
13 nozzle" could potentially be a source of confusion.  
14 What do we actually know about the DFL as you  
15 presented, the model one, "INSERT in nose and  
16 press"? Was that well understood, and do we have a  
17 sense of whether or not that language needs to be  
18 enhanced in some way for the current product?  
19 Thank you.

20 MS. COHEN: This is Barbara Cohen. Thank  
21 you very much, Dr. Parker, for your question. In  
22 response, I'll say that step 2, we needed to make

1 that really a placeholder step in the label because  
2 people needed to see something to know that they  
3 had to give a dose, but the intent was not to  
4 assess the specific wording of that step as it was  
5 assessed at that time with the participants. We  
6 more wanted to know did they know the concept of  
7 give a dose.

8 That's what we were assessing in the Label  
9 Comprehension Study, did they know that, first,  
10 they should check; second, they should actually  
11 give a dose; third, call 911, et cetera, so that  
12 the specific wording in that step was just to give  
13 people context for what the type of product might  
14 be, and it wasn't to actually test the wording  
15 specifically of that step because we had no idea  
16 what product and what type of product might be  
17 proposed for the OTC introduction.

18 Does that answer your question?

19 DR. McAULIFFE: It does. That's great.  
20 Thank you so much.

21 MS. COHEN: Thank you.

22 DR. COYLE: We'll wrap up this session with

1 one more question.

2 Dr. Clement?

3 DR. CLEMENT: This is for anybody. Can you  
4 hear me? Yes? Okay.

5 As you were giving those presentations, I'll  
6 give you a context. One of the things that came to  
7 my mind is the times I've been in this particular  
8 situation, most of the time you're not alone. I  
9 mean, it could be a situation at home, but you  
10 could be on an airplane with a bunch of people.  
11 You could be the coach with a whole bunch of people  
12 around you.

13 So my question to the team, to the FDA is,  
14 has anyone thought about what if you have two  
15 people there? Two people are always better than  
16 one. One could be reading the instructions while  
17 the other person is actually doing the work. Is  
18 that something that would be useful on doing -- I  
19 don't want to create more work for the company, but  
20 two people are always better than one. One could  
21 be reading the instructions while the other  
22 person's actually conducting the stuff. If you



1 think of two-people CPR, team CPR works really  
2 well.

3 I know this was the first application to the  
4 OTC committee for a rescue drug, so that may be the  
5 first time something like that has been brought up.  
6 So I'm just curious from the FDA standpoint, is  
7 that something to be considered? If there is  
8 another human study done, is that something you  
9 could ask, is what happens when there's two people  
10 in the room?

11 DR. GREEN: Let's see. This is Jody Green  
12 speaking. Oh, go ahead, Terri.

13 DR. MICHELE: Yes. It's a very valid  
14 question. I think it's probably impossible to test  
15 every single scenario that might be out there, so  
16 the instructions, in the model DFL at least, were  
17 derived based on a single respondent. But  
18 certainly if more than one respondent were there,  
19 they could tag team it however they wished.

20 DR. CLEMENT: Thank you. I'm done. Thank  
21 you.

22 DR. COYLE: Thank you all. As we wrap up

1 this session, part of the session today, I just  
2 want to send another reminder that as you're  
3 speaking into the record, it's always ideal if you  
4 can restate your full name, and if desired, your  
5 affiliation, really for the benefit of those who  
6 may be listening to our proceedings here for the  
7 public. I know it's an easy to miss step, but  
8 please be as attentive to that as you can.

9 At this point, we are going to break for  
10 lunch. We will reconvene at 1:30 p.m. Eastern  
11 time. Panel members, please remember that there  
12 should be no chatting or discussion of the meeting  
13 topics with other panel members during the lunch  
14 break. Additionally, if you could, please plan to  
15 reconvene at around 1:20 p.m. to ensure that we can  
16 all be connected before the meeting resumes at  
17 1:30 p.m. Thank you very much.

18 (Whereupon, at 12:32 p.m., a lunch recess  
19 was taken.)  
20  
21  
22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A F T E R N O O N S E S S I O N

(1:30 p.m.)

**Open Public Hearing**

DR. COYLE: Hello, and welcome back to the afternoon session of our meeting. We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationships that you may have with the applicant, its product, and if known, its direct competitors. For example, this financial information may include the applicant's payment of your travel, lodging, or other expenses in connection with your participation in the

1 meeting.

2           Likewise, FDA encourages you, at the  
3 beginning of your statement, to advise the  
4 committee if you do not have any such financial  
5 relationships. If you choose not to address this  
6 issue of financial relationships at the beginning  
7 of your statement, it will not preclude you from  
8 speaking.

9           The FDA and this committee place great  
10 importance in the open public hearing process. The  
11 insights and comments provided can help the agency  
12 and this committee in their consideration of the  
13 issues before them.

14           That said, in many instances and for many  
15 topics, there will be a variety of opinions. One  
16 of our goals for today is for this open public  
17 hearing to be conducted in a fair and open way,  
18 where every participant is listened to carefully  
19 and treated with dignity, courtesy, and respect.  
20 Therefore, please speak only when recognized by the  
21 chairperson. Thank you for your cooperation.

22           Speaker number 1, please unmute and turn on

1 your webcam. Speaker number 1, begin and introduce  
2 yourself. Please say your name and any  
3 organization you are representing for the record.

4 DR. MUKKAMALA: Thank you.

5 Good afternoon. My name is Bobby Mukkamala,  
6 and I'm a practicing otolaryngologist head and neck  
7 surgeon in Flint, Michigan, and I also serve as the  
8 immediate past chair of the Board of Trustees at  
9 the American Medical Association, as well as the  
10 chair of its Substance Use Disorder and Pain Care  
11 Task Force.

12 The AMA supports naloxone being available  
13 over the counter because increasing access to  
14 naloxone will make the nation safer. Since it was  
15 created more than 50 years ago, naloxone has done  
16 one thing, and one thing exceptionally well, save  
17 lives from an opioid-related overdose. Were it not  
18 for the availability of naloxone, there would be  
19 tens of thousands more Americans dying from  
20 overdose related to illicitly manufactured  
21 fentanyl.

22 The AMA is proud to have supported dozens of

1 laws and policies to increase access to this  
2 life-saving medication. Physicians have increased  
3 naloxone prescribing and harm reduction  
4 organizations have continued to distribute  
5 naloxone. Barriers to naloxone, however, limit  
6 these efforts, including the fact that naloxone is  
7 currently tucked behind the pharmacy counter.

8 Data continue to show that community-based  
9 harm reduction organizations get naloxone directly  
10 to the people most at risk of opioid-related  
11 overdose. The AMA commends the FDA for removing  
12 some of the regulatory barriers last year to allow  
13 harm reduction organizations to purchase naloxone  
14 directly from manufacturers. This has undoubtedly  
15 saved thousands of lives already. Removing the  
16 prescription status of naloxone will make it even  
17 easier for community-based organizations to  
18 purchase and distribute naloxone to those who need  
19 it most.

20 This slide shows just a few examples of  
21 impactful ways to increase access to naloxone, and  
22 OTC status will make these efforts even easier.

1 Removing the prescription status of naloxone and  
2 making it available over the counter will send a  
3 powerful message that naloxone is a critical public  
4 health tool for everyone, and that message is  
5 important to destigmatize obtaining and using  
6 naloxone. Individuals should be able to pick up a  
7 package of naloxone without having to face the  
8 potential stigma or shame of having to ask for this  
9 life-saving medication.

10           Pharmacists have increased access to  
11 naloxone locally and regionally, but the AMA  
12 believes greater access will occur when naloxone  
13 for overdose risk is just as easily accessible in a  
14 pharmacy, grocery store, or other common locations  
15 as acetaminophen is for a headache or a  
16 decongestant is for a stuffy nose. The AMA has  
17 long supported the state and community-based  
18 efforts, just as we have long urged naloxone  
19 manufacturers to submit OTC applications.

20           When naloxone is OTC, we urge all payers to  
21 continue to cover naloxone at no or low cost.  
22 There are multiple OTC preventative health

1 medications that are already covered by insurance,  
2 and this should be added to that list: aspirin,  
3 fluoride, and folic acid. Affordability, however,  
4 will also require manufacturers to responsibly  
5 price their products and work constructively with  
6 payers and PBMs to ensure OTC naloxone is  
7 affordable to those with and without insurance.  
8 These important stakeholders must ensure that OTC  
9 status equates to OTC access.

10 Finally, we believe that removing the  
11 prescription status of naloxone will allow for many  
12 emergency departments, health clinics, colleges,  
13 universities, high school, and physicians' offices  
14 to better distribute naloxone. Having it behind  
15 the pharmacy counter is not nearly as effective in  
16 getting it into the nose of a blue patient as if it  
17 were readily available in the places I just  
18 mentioned.

19 Many businesses in public places already  
20 have AEDs to save lives from a heart attack. These  
21 devices literally shock a heart into the right  
22 rhythm and are more available in the halls of our



1 schools, malls, and airports. Let's make sure that  
2 we have this nasal spray and overdose prevention  
3 education to save lives from overdose. We urge  
4 states to use opioid litigation settlement funds to  
5 purchase naloxone and distribute it to emergency  
6 departments and other locations where naloxone can  
7 be put directly into the hands of those at risk of  
8 an opioid-use disorder, as well as into the hands  
9 of individuals and loved ones who can help prevent  
10 an opioid-related overdose from leading to death.

11 Making naloxone OTC is a vital step to  
12 ending the nation's overdose epidemic. We once  
13 again thank the FDA for holding this important  
14 hearing, and look forward to naloxone becoming an  
15 over-the-counter product. Thank you.

16 DR. COYLE: Thank you.

17 Speaker number 2, please unmute and turn on  
18 your webcam. Speaker number 2, would you please  
19 begin and introduce yourself. Please state your  
20 name and any organization you're representing for  
21 the record.

22 DR. MILAS: Hello. My name is Dr. Bonnie

1 Milas. I have no disclosures other than I am an  
2 ASA member. As a professor, I normally have slides  
3 for my talks, but today I'd like you to concentrate  
4 only on my words.

5 If I ask the attendees of this hearing to  
6 raise their hand if they have ever administered  
7 naloxone nasal spray in their home to a relative,  
8 performed CPR on them, and also use the same  
9 measures on patients, I'm guessing that I may be  
10 one of the only ones with my hand in the air. I'm  
11 uniquely qualified to speak here today.

12 Although I had rescued my sons with naloxone  
13 on a number of occasions, I tragically lost both of  
14 my sons to accidental fentanyl overdoses. I have  
15 no remaining children. Ironically, as an  
16 anesthesiologist at the University of Pennsylvania,  
17 I administer fentanyl to patients safely every day  
18 for heart surgery. I'm an expert at recognizing  
19 opioid overdose, how to administer naloxone, and  
20 how to perform life-support maneuvers.

21 I want you to walk in my shoes for just a  
22 bit and imagine yourself watching someone in the

1 process of dying from an overdose in your home.  
2 Late at night, I walked into the kitchen, only to  
3 stumble on my son's collapsed body. Even in the  
4 dark, I can see his lips are blue. He was not  
5 breathing. I called out for help, "Get the  
6 naloxone! Dial 911!" I cannot remember if I  
7 checked his pulse, but I could not pry his mouth  
8 open. I immediately started breathing for him  
9 through his nose and doing chest compressions. I  
10 administered the critical naloxone.

11 As he regained consciousness, the police and  
12 the EMTs were in my house and neither were  
13 compassionate or polite. One EMT was overheard  
14 saying, "I don't know why we waste naloxone on  
15 these people." That is my lived experience.

16 After having lost my sons, you might say,  
17 why would I argue to have naloxone over the  
18 counter? It's because naloxone gave them a chance  
19 at recovery. Early in our family's experience, the  
20 only naloxone option was intravenous, which I  
21 gratefully administered in my home on one occasion;  
22 yet, no other members in my family could save our

1        sons by placing an intravenous catheter. Having  
2        nasal naloxone spray was a blessing because that  
3        meant my non-medical husband and father-in-law  
4        could rescue our sons.

5                Drug addiction is a horrible relapsing  
6        disease and overdose is common. Each rescue of our  
7        sons with naloxone was yet another chance to live  
8        with additional recovery care. It was a chance to  
9        live a full life. Every family in the United  
10       States should have this same chance at survival for  
11       their loved one. Easy over-the-counter access  
12       empowers laypersons to respond immediately to  
13       emergency medical needs. This is a public  
14       healthcare activity.

15                Precious few community members may have my  
16        medical knowledge or lived experience; yet, it only  
17        takes a basic level of knowledge and having  
18        naloxone nasal spray immediately on hand to save an  
19        overdose victim's life. Most of these deaths are  
20        due to fentanyl. Fentanyl analogs are a game  
21        changer. They are killers. If taken orally, there  
22        may be minutes before the victim becomes

1 unconscious and stops breathing. If smoked or  
2 injected intravenous, those lethal events can  
3 happen in 90 seconds. It's rapid suffocation.  
4 There's not enough time to wait for police or EMS  
5 to arrive with naloxone. Family and friends must  
6 be the immediate responders on the scene.

7 Over-the-counter naloxone improves  
8 [inaudible - audio gap] -- treatment by medical  
9 professionals and helps prevent permanent brain  
10 injury or death. Naloxone must be in every home  
11 and next to every AED in public spaces.

12 There's been an unwillingness to stop the  
13 flood of fentanyl across our borders, so we must  
14 make a dramatic move to have immediately accessible  
15 naloxone and basic rescue skills in the hands of  
16 citizens. This dire need is why I'm spearheading  
17 the REVIVEme.com campaign with my American Society  
18 of Anesthesiologist colleagues. Counterfeit pills  
19 contaminated by fentanyl are causing accidental  
20 poisonings and deaths of our youth. This has led  
21 to the One Pill Can Kill Initiative.

22 The morbidity and mortality report of

1 December 16, 2022 points out that overdose deaths  
2 in 10 to 19 year olds have increased by  
3 109 percent. In 80 percent of these cases, there  
4 was one or more bystander on the scene who did  
5 nothing, nothing to revive the dying youngster. We  
6 must do better for our children. Education,  
7 skills, and naloxone on the scene are vital steps.

8 Naloxone nasal spray is easy to use. Safe  
9 and effective labeling has already been established  
10 at the FDA. There are no harmful effects if the  
11 medication is mistakenly given when a victim is not  
12 overdosing. Naloxone spray takes 2 to 3 minutes to  
13 be effective, and giving repeated doses should be  
14 avoided. The medication lasts 30 to 60 minutes, so  
15 the victim can fall unconscious again if the opioid  
16 is longer acting. That is why calling 911 and  
17 getting medically trained help is key. Following  
18 an overdose, a plan needs to be put in place for  
19 drug abuse treatment. All of these measures can be  
20 made clear to the public and easily conveyed in  
21 packaging and public education to avoid misuse.

22 Naloxone can cause symptoms of withdrawal

1     like sweating, nervousness, nausea, and vomiting.  
2     These symptoms are the primary reason why  
3     recreational use of naloxone does not occur.  
4     Contrary to what you might read on the internet,  
5     naloxone does not increase someone's high. There  
6     is no risk of abuse of naloxone. The critical  
7     issue is get the victim awake, breathing again, and  
8     to save their life. My sons never liked the  
9     discomfort of withdrawal that came with naloxone,  
10    but they were so grateful to have been revived.

11           Access to over-the-counter naloxone must be  
12    in a manner that is equitable while avoiding  
13    barriers and disparity. The cost of changing the  
14    status of naloxone from prescription to over the  
15    counter must not prohibit widespread availability.  
16    Current free access to naloxone must be enhanced to  
17    avoid disparities and accessing this life-saving  
18    medication. Naloxone free mailed to home, just as  
19    was done with COVID-19 testing supplies, is  
20    simplest and avoids stigma. A voucher system to  
21    retrieve it from a pharmacy is also feasible. An  
22    equitable solution is within our grasp with federal

1 and corporate cooperation.

2 As the only antidote to opioids, naloxone  
3 saves lives. According to studies by the CDC on  
4 addictive behaviors, naloxone in the hands of  
5 laypersons is safe and decreases overdose deaths by  
6 15 percent and in African-Americans by 25 percent.  
7 So if you look at the last year's numbers of  
8 overdose deaths at 108,000, over-the-counter  
9 naloxone could potentially save 20,000 lives. That  
10 number alone should be a cornerstone of this  
11 hearing decision.

12 Brand name Narcan with its convenient  
13 packaging, with the appropriate 4-milligram dose  
14 and a ready-to-use nasal spray, is a lifesaver.  
15 This product is preferable over the 2-milligram  
16 generic nasal Bristojet that needs to be assembled  
17 in the trembling hands of a nervous layperson.

18 My sons are never coming home again. Life  
19 is precious and can slip away suddenly due to an  
20 overdose. Over-the-counter naloxone is the next  
21 game changer, and the time is now. I will not stop  
22 until this medication is universally available. I



1 thank the committee for my time to present.

2 DR. COYLE: Thank you.

3 Speaker number 3, please unmute and turn on  
4 your webcam. Speaker number 3, begin and introduce  
5 yourself by stating your name and any organization  
6 that you are representing for the record.

7 MS. HULSEY: Thank you so much. My name is  
8 Jessica Hulsey, and I'm the executive director of  
9 the Addiction Policy Forum. APS is a patient and  
10 caregiver advocacy organization that covers all  
11 50 states, representing patients, caregivers, and  
12 practitioners. I'm also an impacted family member.  
13 I work in this field because I lost both of my  
14 parents to opioid-use disorder.

15 The reality right now is that this is an  
16 unprecedented crisis. We're losing 295 people or  
17 more a day to overdose, and we at APF represent  
18 many of those families and communities that are  
19 really at the center of this crisis. Last year, we  
20 released a framework for necessary steps that every  
21 state and community needs to take to address the  
22 opioid epidemic, this crisis, and at the top of

1 that list is the need to increase distribution of  
2 naloxone, and to make sure that linkage to care  
3 exists after each overdose reversal.

4 In that same vein, the Addiction Policy  
5 Forum supports making naloxone available over the  
6 counter. We believe that this should be as easy to  
7 access as Tylenol, or Nasonex, or other medicines  
8 that you can find at your local pharmacy, without  
9 any barriers to obtaining this life-saving  
10 medication and without any stigma for the families,  
11 patients, first responders and communities, and  
12 practitioners who are accessing and searching that  
13 out.

14 However, while we support the availability  
15 of naloxone over the counter and see it as a major  
16 opportunity, we also recognize it could present  
17 some challenges and potential unintended  
18 consequences for our patient community. In  
19 response, we conducted a stakeholder focus group to  
20 collect feedback and really make sure that we can  
21 share some perspectives from the patient, family,  
22 and practitioner community about this potential

1 change.

2 Our focus group participants were very  
3 diverse: addiction psychiatrist; individuals in  
4 the recovery field; individuals with lived  
5 experience and receiving treatment or in recovery  
6 from addiction themselves; caregivers; individuals  
7 from the criminal justice system, jails and  
8 re-entry programs; as well as advocates and leaders  
9 from our harm reduction partners.

10 We would like to share with you three main  
11 categories of consideration from our community for  
12 you to consider. Number one is to ensure that the  
13 change to over-the-counter naloxone does not affect  
14 the funding and resources currently available for  
15 free naloxone and active distribution of naloxone  
16 to high-risk populations. We as a community  
17 recommend that over-the-counter products  
18 supplement, not supplant, our current distribution  
19 mechanism.

20 We like to describe this from a sort of  
21 practitioner network and a patient network, as  
22 there are both active and passive ways to

1 distribute naloxone to communities. Active or  
2 proactive distribution of overdose education and  
3 naloxone to at-risk populations commonly is  
4 happening at harm reduction programs; at hospitals  
5 or emergency departments; distribution to  
6 individuals released from prison or jail; or  
7 co-prescribing of naloxone with an opioid  
8 prescription. Passive methods would make available  
9 naloxone to those who seek it out on their own,  
10 which would include, let's say, a vending machine  
11 or something next to that, an AED box, or at  
12 pharmacies.

13 To be clear, making naloxone available over  
14 the counter is an additional passive mechanism, not  
15 an active distribution to at-risk communities. We  
16 are fully in support and see this as a mechanism  
17 and a real opportunity to expand access across the  
18 board to our communities, and we ask and are  
19 hopeful that this will be a supplement. This will  
20 be in addition to the current mechanisms that are  
21 in place and the funding and resources that are  
22 available to harm reduction, first responders,

1 emergency departments, and criminal justice  
2 systems. That is our biggest concern and our worry  
3 about a potential unintended consequence.

4 Another consideration that we would like to  
5 share is around pricing. For example, given the  
6 prevalence of fentanyl and our illicit drug supply,  
7 multiple doses of naloxone are frequently required  
8 to reverse an overdose, sometimes 3 or 4 doses. We  
9 urge the FDA to consider the price point that does  
10 not create a financial barrier for most American  
11 households. Our patient and caregiver focus group  
12 was recommending or hoping for a ceiling of \$20 per  
13 dose, and even the potential of naloxone being as  
14 affordable as a large bottle of Tylenol.

15 Another consideration that's sort of in that  
16 pricing category is, are there extra barriers? Is  
17 this going to still be behind the counter at a  
18 pharmacy? Will it be in lock boxes with razors and  
19 other products? What is the training going to be  
20 for pharmacy staff to make sure that this is a  
21 location for accessing naloxone that is not rife  
22 with stigma, judgment, or mistreatment of our

1 patient and caregiver community that is seeking  
2 resources to save a life?

3 Our third recommendation or area of  
4 consideration is around education and intervention.  
5 There are some concerns, particularly among our  
6 treatment partners, our addiction psychiatrists,  
7 and addiction physicians that are part of our  
8 network at APS, that we don't want to eliminate or  
9 reduce our point of contact with patients who have  
10 just had their lives saved. They've just used a  
11 life-saving medication, and naloxone is critical.  
12 It can reverse that overdose, but we want to make  
13 sure we get people into care.

14 Right now, our current distribution system  
15 includes that education point. It often includes  
16 that linkage to care. It creates a touch point  
17 with a healthcare professional, with an addiction  
18 specialist, or a well-informed volunteer that can  
19 make a connection, and can create that linkage to  
20 care if, and when, and at the point of time that  
21 that patient is ready for that.

22 These interactions allow for education

1 around addiction and you know where to go for  
2 possible treatment options. It allows for  
3 education of a family, and it also can allow for a  
4 positive interaction with a healthcare system that  
5 has received that training and that has received  
6 those resources to build that relationship. It  
7 also builds harm reduction strategies, so if that  
8 patient is not ready for treatment at that moment,  
9 if they're not ready to begin using a medication  
10 for an opioid-use disorder, that we can ensure that  
11 we are sharing harm reduction strategies to stay  
12 safe and well and also be a point of contact for  
13 that patient in the future.

14 We urge the FDA to consider packaging, or  
15 inserts, or follow-up, or training, or pieces that  
16 are available in that pharmacy to ensure that that  
17 follow-up piece is not lost in the over-the-counter  
18 availability of naloxone. Naloxone is life-saving  
19 but it is not a treatment. It is critical, but we  
20 hope to not lose sight of the need to connect each  
21 individual who's had an overdose reversed to care  
22 that they need to prevent another overdose, or

1 potentially to prevent another fatal overdose.

2 Another example is to think of this, that if  
3 we had over-the-counter availability of a medicine  
4 to stop a heart attack, we would still want that  
5 patient to engage in healthcare and even emergency  
6 medicine to make sure that they're ok. If we had  
7 other things that were available over the counter  
8 after a life-threatening incident, we would want to  
9 get that patient into care, and we hope that some  
10 of the wraparound supports and the mechanisms that  
11 are available, along with the OTC provision, take  
12 into account that need for connection to care.

13 From our larger network, this is a major  
14 opportunity to make sure that we address the  
15 barriers that do exist currently. This could  
16 potentially mean we could order the naloxone that  
17 we need from Amazon or pick it up at our local CVS  
18 or Walgreens; that we have a net increase in the  
19 naloxone that's available nationwide; and that we  
20 also start to normalize the availability of  
21 naloxone to address the stigma and make sure that  
22 people know that every life is worth saving, and



1 that our individuals who are struggling with  
2 substance-use disorders need to have access to  
3 medications that can keep them alive without any  
4 stigma, or judgment, or mistreatment that is  
5 connected with that.

6 Thank you for including a patient advocacy  
7 organization to share our perspectives and our  
8 concerns or considerations in this change. We look  
9 forward to working with you, and at the end of the  
10 day think that any effort that we can make to have  
11 more naloxone available and in more hands with  
12 education is a net positive for our patient  
13 community. Thank you.

14 DR. COYLE: Thank you.

15 We'll move on to speaker number 4. Please  
16 unmute and turn on your webcam. Speaker number 4,  
17 you may begin and introduce yourself by stating  
18 your name and any organization you are representing  
19 for the record.

20 MS. WILCOX: Hello. My name is Terry  
21 Wilcox, and I am the CEO and founder of Patients  
22 Rising. It's an organization that advocates on

1       behalf of patients with rare and chronic diseases.  
2       We support reforms in legislation aimed at  
3       advancing patient access to afford quality health  
4       care. Formed in 2015, we have developed a  
5       significant following of over 110,000 patients and  
6       caregivers, and have guided them on their journey  
7       to advocate for themselves and their loved ones to  
8       get the care and treatments they need. I will  
9       disclose that Patients Rising has received funding  
10      support from both Emergent BioSolutions and Teva  
11      for our program, none of which has driven my desire  
12      to speak here today. Expanded naloxone  
13      availability is a personal issue to me, having lost  
14      my cousin to an opioid overdose in 2013.

15               The opioid epidemic in America is  
16      far-reaching and larger than it's ever been. Since  
17      1999, opioid overdose deaths have been on a  
18      meteoric rise. Opioid overdose deaths in the year  
19      2020 totaled 68,630, dwarfing the death total in  
20      1999 by a factor of nearly 4. When examining NIH  
21      data, overdose deaths, in general, which  
22      opioid-related overdoses comprise the lion's share

1 of, are now so prevalent that they rank among the  
2 annual leading causes of death in the country. The  
3 root cause of this building crisis, it's tough to  
4 pin down and address, so the necessary course of  
5 action becomes preventing overdoses as they occur.

6 Fortunately, treatments such as naloxone are  
7 effective and largely available. The subject of  
8 the joint committee hearing at hand is whether or  
9 not the existing data support naloxone being able  
10 to be offered over the counter for purchase with no  
11 insurance required. There are data and reports  
12 which absolutely indicate this.

13 A CDC report from September 2020  
14 investigated relevant characteristics in 16,236  
15 overdose deaths from January 2019 to June 2019.  
16 The findings reveal key insights for preventing  
17 death. Of those 16,236 deaths, over 3 in 5  
18 overdose deaths -- a total of 62.7 percent -- had  
19 evidence of at least one potential opportunity for  
20 intervention, and 37 percent occurred with a  
21 bystander present.

22 Further, a different CDC report from 2015

1 shows a survey tracking naloxone kit distribution  
2 and is used in reversing opioid overdoses. In  
3 calendar year 2013, nearly 38,000 kits were given  
4 out and used to save over 8,000 lives. In this  
5 same report from calendar year 1996 to June 2014,  
6 26,463 opioid overdoses were prevented thanks to  
7 naloxone. The exact number of lives that would  
8 have been saved had naloxone been more readily  
9 available cannot be determined, but it's certainly  
10 an aspect of this discussion that deserves  
11 consideration in federal rulemaking.

12           These statistics point to a strong need for  
13 increased access to naloxone given the high  
14 potential to save someone's life in the event of an  
15 overdose. Designating naloxone as an  
16 over-the-counter treatment presents an opportunity  
17 to save thousands of lives while keeping the  
18 treatment accessible and affordable. Designating  
19 naloxone as an over-the-counter treatment would not  
20 only increase its availability, it would also give  
21 a strong headway to combatting a major barrier to  
22 access, this stigma associated with addiction. It

1 can be uncomfortable for someone with  
2 substance-abuse disorder to pursue treatment, which  
3 prevents overdose, because of these societal  
4 stigmas.

5 The current order of operations for  
6 procuring naloxone requires discussions with  
7 doctors, insurance companies, and pharmacists. Any  
8 or all of these steps put substance-use disorder  
9 patients in an uncomfortable position while seeking  
10 a life-saving treatment. As a result, the people  
11 who need this treatment the most are, for lack of a  
12 better term, sometimes discouraged from seeking it.  
13 Being able to acquire naloxone independent of a  
14 doctor's or insurance company's approval would  
15 decrease the stigma, increase access and  
16 availability, and save lives.

17 In the wake of an ever-increasing epidemic  
18 of overdose deaths, there is a strong  
19 responsibility for federal administrators and  
20 stakeholders to take corrective measures. The  
21 potential of designating naloxone as an  
22 over-the-counter treatment, preventing and saving

1 thousands of lives, can neither be understated nor  
2 ignored, and it is my strong hope that both  
3 committee recommend proceeding with this course of  
4 action. In closing, thank you for the opportunity  
5 to offer my testimony today.

6 DR. COYLE: Thank you.

7 We will move on to speaker number 5. Please  
8 unmute and turn on your webcam. Speaker number 5,  
9 please begin by introducing yourself. You may  
10 state your name and any organization that you are  
11 representing for the record.

12 MR. BRASON: Good afternoon. My name is  
13 Fred Brason, and I represent Project Lazarus as the  
14 founder and CEO, and Project Lazarus is a  
15 community-based nonprofit with a public health  
16 approach for addressing substance-use disorders  
17 that we began in 2007. I have no disclosures. I'm  
18 here on my own accord.

19 I first learned, through the process of  
20 working as a director and chaplain at hospice,  
21 about the nature of the opioid-use crisis that we  
22 have incurred in the United States. 2007 was the

1 first time I learned about naloxone, and when I  
2 heard about it -- and realized that with our  
3 hub-and-spoke model of working with providers, and  
4 ED policies, and people with pain, and harm  
5 reduction, addiction treatment, and community  
6 education -- my first logical question was, "Well,  
7 who has the naloxone if it reverses overdose?" Our  
8 county in northwest North Carolina, Wilkes County,  
9 in 2007 was the third worst county in the United  
10 States for prescription drug overdoses and  
11 obviously had a great need for naloxone.

12 When I learned about it, I asked the  
13 question, "Who has it?" and I was told EMS and  
14 emergency departments are the primary areas where  
15 it's accessible. And then I said, "Well what about  
16 people at risk?" because the folks in my county  
17 weren't dying in the ambulance or in the hospital.  
18 They were on their own bed, on a friend's couch,  
19 living room, or wherever in the community. So I  
20 posed that question to then Dr. Janelle Rhyne, who  
21 was head of our medical board for North Carolina,  
22 about that question, "Why isn't naloxone readily

1 available for people who are at risk?" She  
2 thankfully said, "Good question. Let's find out."

3 They gave us a hearing towards the end of  
4 2007 to present our case about who's at risk: the  
5 person who is on high-dose opioids; the person who  
6 has a methadone prescription; the person who's in  
7 an opioid treatment program; the person who's  
8 coming out of jail who's been incarcerated. I can  
9 go on and on about where the risks are, and  
10 they've, unfortunately, increased over the years  
11 because of the use of heroin, and fentanyl, and  
12 others.

13 We had that hearing, and they gave us half  
14 an hour to speak, and we did, and it went on for  
15 45 minutes. Then the policy director, the medical  
16 director that was on the the judges panel -- there  
17 were five of them -- stopped us and raised his hand  
18 and said, "You know, before you came in here as  
19 Project Lazarus, we pretty much had a discussion,  
20 and we were against a program like this." And he  
21 said, "But you have convinced us and shown us that  
22 we are prescribing to individuals in our own



1 practices who are at risk for an overdose."

2 So the North Carolina Medical Board became  
3 the first one in the United States that said the  
4 goals of Project Lazarus are consistent with the  
5 board's statutory mission to protect the people of  
6 North Carolina. The board therefore encourages all  
7 licensees to abide by the protocols employed by  
8 Project Lazarus and to cooperate with the program's  
9 efforts to make naloxone available to persons who  
10 are at risk of suffering drug overdoses.

11 That was published in 2008 for all the  
12 licensees in North Carolina. Here we are in  
13 February of 2023, nearly 15-16 years later, and  
14 just now looking at over the counter for  
15 individuals who are at risk. Yes, we have more  
16 dispensing through harm reduction. Yes, we have  
17 standing orders in the pharmacies. Yes, we now  
18 have available nasal devices and still  
19 intramuscular, whereas when we first started to  
20 dispense and distribute naloxone, we had to get a  
21 prefilled syringe, an off-label atomizer, put it  
22 into a kit and make it accessible, which was

1 extremely difficult and cumbersome, and obviously  
2 not easy to put together in a panic situation. So  
3 I'm thrilled we do have more devices, and I'm  
4 thrilled we're talking about over the counter.

5 Now, as we talked and looked at people at  
6 risk and how to reach them from a community public  
7 health perspective, I remember working early on  
8 with the Clinton Foundation, who looked at naloxone  
9 and had discussions with them over and over again,  
10 and everybody kept looking at what's the best way.  
11 What's the new way that we can get naloxone out to  
12 the general public and others who are at risk? And  
13 I said, "Well, there perhaps are new ways, but we  
14 don't look at our current infrastructure and  
15 determine are all of the avenues of our  
16 infrastructure being utilized to dispense and  
17 distribute naloxone."

18 And thankfully, they agreed with that aspect  
19 that, yes, it should be co-prescribed to that  
20 individual who's at risk? Yes, it should be  
21 provided in the prison or the jail for the inmate  
22 who's being released, who has substance-use

1 disorders, or previous history. Yes, it should be  
2 given and accessible to somebody who's presented in  
3 the emergency department because of a withdrawal  
4 episode or an overdose episode. They should be  
5 walking out with it; the same with somebody who's  
6 been hospitalized for endocarditis and other issues  
7 because of injection of prescription, or heroin, or  
8 fentanyl products.

9 So there are different avenues for every  
10 single aspect of our population. Should over the  
11 counter be one of those avenues? Yes, it should.  
12 Is it the be-all/end-all? Not necessarily. We  
13 cannot remove all the other avenues just to provide  
14 one more. I think it's in addition to. And as we  
15 do that, yes, it's going to enhance harm reduction.  
16 Yes, hopefully it'll bring in the price range that  
17 individuals can afford.

18 DR. COYLE: Number 5, I need you to wrap up  
19 your comments.

20 MR. BRASON: I was at one of our local high  
21 schools today in one of our neighboring counties  
22 with the school nurses, the school social workers,

1 the school counselors, and providing them training  
2 on critical incident stress management for children  
3 they knew, and they were all interested in  
4 obtaining naloxone. "How can we have it? I want  
5 to send it to my kid who's in college to make sure  
6 that he and others in that dorm are safe," and so  
7 they're asking me how to get it.

8 DR. COYLE: Number 5?

9 MR. BRASON: Thankfully, we're harm  
10 reduction, and we can provide it to them.

11 (Crosstalk.)

12 DR. COYLE: Number 5, final comment.

13 MR. BRASON: But the aspect of being able to  
14 say, well, you just go into your pharmacy, and look  
15 now where certain opportunities are for receiving  
16 that, and you can pick that up. So I encourage you  
17 to agree for over the counter, increase that avenue  
18 for that, and I thank you for your time today in  
19 allowing me to speak. Thank you.

20 DR. COYLE: Thank you.

21 We need to move on to our public speaker  
22 number 6. Please unmute and turn on your webcam.

1 Speaker number 6, you may begin by introducing  
2 yourself. Please state your name and any  
3 organization that you're representing for the  
4 record here today.

5 MR. SPANGLER: Good afternoon. I'm David  
6 Spangler with the Consumer Healthcare Products  
7 Association. We represent over 65 manufacturers of  
8 nonprescription medicines, dietary supplements, and  
9 consumer medical devices. While we do have members  
10 with an interest in naloxone, Emergent BioSolutions  
11 is not a current CHPA member.

12 Access matters. Access is about time, it's  
13 about place, it's about removing barriers. Since  
14 this meeting began this morning, if you take the  
15 yearly average, over 50 people would have died from  
16 an opioid overdose. I've followed prescription to  
17 nonprescription switch, the process, for many  
18 years. All prescription and nonprescription switch  
19 candidates have to be shown safe and effective on  
20 the basis of their labeling, but few, very few, OTC  
21 medicines can truly save a life.

22 OTC asthma medicines when immediate use may

1 be essential and the asthmatic can't access their  
2 Rx medicine; nicotine replacement therapy to extend  
3 the life of a smoker seeking to quit; chewing an  
4 aspirin at the time of a heart attack -- and that's  
5 a professional indication, that's not on the OTC  
6 label -- that's three. I might have missed one or  
7 two, but I can't think of others. Naloxone would  
8 be another.

9 FDA, the healthcare community, and emergency  
10 responders have already come so far in improving  
11 access to naloxone. From FDA's recommendations to  
12 prescribe naloxone liberally, FDA's approval of  
13 naloxone kits for community use, state laws or  
14 regulations allowing more people to carry and  
15 administer naloxone through nonpatient-specific  
16 prescriptions or standing orders, these have all  
17 been life-saving means to expand naloxone access.

18 FDA's own label studies and opening a docket  
19 on naloxone, things you heard about this morning,  
20 are two more illustrations of trying to remove  
21 barriers to access. Now is the time to finish the  
22 job. If you're satisfied the sponsor can move

1 ahead with FDA on label enhancements on the use of  
2 their spray without further delays, the expanded  
3 access that nonprescription status can provide will  
4 remove still more barriers; barriers such as the  
5 stigma some feel in interacting with a healthcare  
6 professional; or using their insurance to get  
7 naloxone; or removing hurdles for community-based  
8 harm reduction groups to access product in bulk; or  
9 perceived, or real, perceptions that naloxone is  
10 unavailable without a patient-specific  
11 prescription. Access does matter. Now it's time  
12 to finish the job.

13 **Clarifying Questions (continued)**

14 DR. COYLE: Thank you, speaker number 6.

15 The open public hearing portion of this  
16 meeting has now concluded, and we will no longer  
17 take any comments from the audience; however, we do  
18 have some time in our agenda to take remaining  
19 clarifying questions to Emergent from the advisory  
20 panel members.

21 I believe that Dr. Brent, Ms. Coykendall,  
22 and Dr. Pisarik had their hands up when we had

1 previously indicated we had some time for questions  
2 for Emergent, so I'll reach back to those three in  
3 that order to see if they have additional questions  
4 that they would like to have clarified.

5           Again, just a reminder to please state your  
6 name into the record when you're beginning your  
7 question, and also indicate when your question has  
8 been answered. And again, we'd like to focus this  
9 time to addressing questions for the applicant  
10 rather than focusing on recommendations. We'll  
11 have time for that later.

12           At this point, Dr. Brent, did you have a  
13 further question for Emergent?

14           DR. BRENT: Thank you. No. That was  
15 covered in my prior remark.

16           DR. COYLE: Thank you.

17           Ms. Coykendall, did you have a question that  
18 you'd like followed up for Emergent?

19           MS. COYKENDALL: Thank you. No, my question  
20 was based on labeling, and it has been answered.  
21 Thank you.

22           DR. COYLE: Dr. Pisarik?



1 DR. PISARIK: Paul Pisarik. I just had a  
2 comment, and it kind of backs off what Dr. Clement  
3 had said earlier, in terms of the fact that we're  
4 assuming that people will be treating an opioid  
5 overdose, but it may not be an opioid overdose. It  
6 might be something else, which is a cardiac arrest,  
7 or hypoglycemic coma, or something like that.

8 So in the prescribing labeling, it does  
9 mention something to the effect that after you've  
10 administered the first dose, rescue breathing or  
11 even CPR might be an option. So I was wondering if  
12 that was a consideration, putting some wording to  
13 that effect that there might be other things you  
14 can do while you're waiting for naloxone to kick  
15 in, if this is truly an opioid overdose. Thank  
16 you.

17 Dr. Pisarik, can you clarify for me? Were  
18 you hoping that Emergent could respond to some of  
19 those concerns or you would just like to have that  
20 thought out there for future discussion?

21 DR. PISARIK: Maybe just for future  
22 discussion. I think it's complicated, and just

1     like Dr. Clement had said, we're not trying to  
2     train the lay public in being first responders, but  
3     by the same token, not everybody who collapses has  
4     an opioid overdose; so some sort of wording in the  
5     labeling that might state that after you give the  
6     first dose with Narcan, should you also be doing  
7     rescue breathing, or if they don't respond, besides  
8     calling 911, maybe start doing CPR or something to  
9     that effect.

10           DR. COYLE: Thank you. We can circle back  
11     to that during our discussion among panel members.

12           Let me open this time to other panel  
13     members. Are there any further clarifying  
14     questions, starting with the sponsor, anything that  
15     we can use this time to further understand or share  
16     across the group?

17           (No response.)

18           DR. COYLE: I'm going to scan for any raised  
19     hands.

20           (No response.)

21           DR. COYLE: Dr. Clement, if you have a  
22     clarifying question for Emergent, please share.

1 DR. CLEMENT: Hello? Can you see me or hear  
2 me? This is Dr. Clement. I remembered to say my  
3 name now. Actually, I had one more question for  
4 the FDA people, but should that just wait for the  
5 discussion part later on?

6 DR. COYLE: It looks like we may have some  
7 time to address questions to FDA, but let me do one  
8 last call to make sure that there aren't any  
9 questions for the sponsor.

10 (No response.)

11 DR. COYLE: Seeing none, we can move on. If  
12 there are questions for FDA, you may raise your  
13 hand and indicate that. Again, please remember to  
14 state your name for the record.

15 I will begin with the first question, if you  
16 will allow me, and I think this question would be  
17 directed to Dr. Michele. We've heard through both  
18 presentations of the morning that there are several  
19 aspects of this Rx to OTC switch that are quite  
20 unique. I think one that we have not discussed is  
21 if this is actually an OTC product that would be  
22 administered to a patient not making the choice for

1 themselves; in fact, a patient for which a  
2 bystander or somebody who might have Narcan doesn't  
3 even know anything about.

4 I'm just curious if there are additional  
5 considerations that haven't been brought up in the  
6 discussion that are relevant to that particular  
7 aspect of this Rx to OTC switch.

8 DR. MICHELE: This is Theresa Michele,  
9 director of Office of Nonprescription Drugs, and  
10 thank you, Dr. Coyle, for that question. You are  
11 absolutely correct, that that is a very unique  
12 aspect to the switch, and one that we batted around  
13 quite a bit on our side, as well. At the end of  
14 the day, what we came to was the need to make the  
15 instructions as simple as possible for consumers  
16 who may potentially be picking up this product,  
17 knowing very little, if anything, about it, and  
18 giving it to a person who, as others have brought  
19 up, may or may not be having a drug overdose.

20 So it really comes back to the overall  
21 safety and effectiveness and the risk-benefit of  
22 naloxone, recognizing, as others have stated, that

1 not everyone who is unresponsive may be having a  
2 drug overdose. So I'd turn that back over to the  
3 panel, and I'll be very interested to hear your  
4 thoughts on that.

5 DR. COYLE: Thank you. Thank you for that  
6 information.

7 Dr. Clement, thank you for your patience,  
8 while I asked my question, and I'll turn the floor  
9 over to you.

10 DR. CLEMENT: Thank you. Again,  
11 Dr. Clement, C. Clement, active practitioner, and  
12 have been a first responder in some of these  
13 situations; not always.

14 My question is to the FDA, to basically come  
15 back to the previous advisory member that  
16 said -- it basically alludes to the idea of how  
17 much education is really needed because we're going  
18 way past just an antidote for a drug. If that  
19 first dose doesn't work, how much should be put in  
20 the label? And you want to keep the label so  
21 simple, and that's totally understood. Are there  
22 other ways that the manufacturer can help educate

1 the lay public about this?

2 So my question to the FDA is, how much  
3 leeway do you have? I know there are restrictions  
4 on the label, but can you ask them to put it on  
5 their website, do videos, make a video about what  
6 to do and how to actually approach a patient that's  
7 unresponsive, that may or may not be used? Are  
8 those available?

9 Some of the ideas I'm thinking of is that  
10 you give the first dose; give the second dose; and  
11 follow all the label things. But while you're  
12 waiting for the ambulance, do you want to check a  
13 pulse? You may want to check for breathing. If  
14 things aren't going on, get your team member to  
15 help do CPR, those type of things.

16 So I'm just curious from the FDA's  
17 standpoint, since this is the first application for  
18 OTC for something that's actually done by not the  
19 consumer but a responsible bystander, this really  
20 is a unique opportunity to get it right in terms of  
21 the type of things that should be done by the  
22 provider. Obviously, this would be on the website,

1 but clearly there's going to be a huge amount of  
2 education needed that can be branched out to  
3 people. So I'll stop there with my comments and  
4 ask for your response on that. Thank you very  
5 much.

6 DR. MICHELE: Thank you, Dr. Clement, for  
7 that question. Again, Theresa Michele, Office of  
8 Nonprescription Drugs.

9 So when we boiled all of this down, we came  
10 to they call 911, which was why we listed that as  
11 the number one thing that we tested in our model  
12 Drug Facts Label. In terms of education of  
13 consumers, you are absolutely right; consumers will  
14 need a whole lot of education on this. Much of  
15 that is kind of beyond what you can put in a Drug  
16 Facts Label, but there are a whole variety of  
17 venues by which consumers get education, and  
18 certainly we always encourage sponsors to provide  
19 as much educational materials to people as possible  
20 on their websites and on other places.

21 We also rely on the community-at-large.  
22 Certainly, we've heard from a lot of stakeholders

1 today who are very into consumer education, and  
2 those are incredibly valuable resources, academics,  
3 physicians. It really takes a whole community to  
4 work on this problem, which is, of course, so  
5 multifactorial. Thank you.

6 DR. COYLE: Thank you, Dr. Michele and  
7 Dr. Clement.

8 I would like to recognize Dr. Ness.

9 DR. NESS: Yes. I just wanted to make a  
10 comment as sort of a follow-up to that. Can you  
11 hear me?

12 DR. COYLE: I can hear you. Please say your  
13 full name into the record.

14 DR. NESS: Oh, I'm sorry. I'm Timothy Ness  
15 from University of Alabama at Birmingham. In terms  
16 of this education thing, I was just hearing over  
17 and over also about this sense of a  
18 connection-to-care aspect of the education in the  
19 sense that naloxone is a uniquely different drug  
20 that we have used. You give someone ibuprofen, you  
21 don't insist on a connection to care about  
22 headaches or things like this. But this is a



1 life-threatening event, and somehow I feel there is  
2 a moral obligation on the part of companies that  
3 may be making a profit on selling things to stop  
4 these things, to have to provide that connection.

5 So my question would be actually towards  
6 FDA. Is there any ability to insist on the the  
7 insert that there be this, again, website  
8 connection? I mean, certainly with opioids, we  
9 have the REMS strategies that we had that were an  
10 FDA-led process. Could they not have a similar  
11 thing, that they'd at least be required to set up  
12 with a consortium, or a company sponsored, or  
13 something of an information site that would be a  
14 connection to care?

15 DR. MICHELE: Once again, Theresa Michele,  
16 nonprescription drugs. There are no postmarketing  
17 requirements or REMS for nonprescription drugs.

18 DR. NESS: So there is no -- you can't ask  
19 for that?

20 DR. MICHELE: So that's probably a bit  
21 beyond OTC labeling, but certainly, again, I'd turn  
22 back to that call 911, which is the immediate

1 connection to care.

2 DR. NESS: No more questions.

3 DR. COYLE: Thank you. Thank you all.

4 I don't see any further requests for an  
5 opportunity to speak. I don't see any hands raised  
6 in our roster here among our committee members, so  
7 at this point I would like to move on and proceed  
8 with the charge to our committee from Dr. Jody  
9 Green.

10 **Charge to the Committee - Jody Green**

11 DR. GREEN: Good afternoon. Thank you,  
12 Dr. Coyle.

13 My name is Jody Green. Today, I will  
14 provide the charge to the committee, and introduce  
15 the questions for discussion, and try to provide  
16 some context. The key points discussed today  
17 include the following.

18 Naloxone hydrochloride, 4-milligram nasal  
19 spray is an opioid antagonist used for the  
20 emergency treatment of opioid overdose. Currently,  
21 it is a prescription product for community use,  
22 meaning that it can be administered by individuals

1 in the community without formal medical training.  
2 As a prescription product, it may be administered  
3 through traditional pharmacy channels such as from  
4 a healthcare provider or using a variety of  
5 community-based naloxone distribution programs such  
6 as harm reduction groups.

7 Although the drug device product discussed  
8 today is the same one that has been used in the  
9 community for the last few years, what is new is  
10 that the applicant is seeking nonprescription  
11 status for their product. If approved as a  
12 nonprescription product, naloxone nasal spray may  
13 be sold more broadly through the United States, in  
14 a greater variety of retail outlets, and may reduce  
15 the stigma for some obtaining an opioid reversal  
16 agent.

17 I want to remind you that in the United  
18 States, under the Food, Drug, and Cosmetic Act,  
19 there are two classes of drugs. FDA-approved drugs  
20 is either prescription or nonprescription. FDA  
21 approves a drug as a prescription product if it is  
22 not safe for use, except under the supervision of a

1 practitioner licensed to administer the drug  
2 because of its toxicity or other potentially  
3 harmful effects, its method of use, or other  
4 collateral measures necessary for use such as  
5 requiring monitoring.

6 If the drug does not meet these  
7 requirements, FDA can approve the drug as a  
8 nonprescription drug. A nonprescription drug can  
9 be used safely and effectively by a consumer  
10 without the supervision of a healthcare  
11 practitioner and does not meet the criteria for  
12 prescription-only dispensing.

13 Under the Code of Federal Regulation, the  
14 FDA can approve the supplement to an approved  
15 prescription drug application, requesting to market  
16 the drug as nonprescription if the following two  
17 conditions are met: FDA finds that the  
18 prescription requirement is not necessary for the  
19 protection of the public health by reason of the  
20 drug's toxicity or other potentiality for harmful  
21 effect; or the method of its use; or the collateral  
22 measure necessary for its use; and FDA finds that

1 the drug is safe and effective for use in  
2 self-medication as directed in the proposed  
3 labeling. This requires the review of adequate  
4 data to make this determination. Both conditions  
5 must be met.

6 Nonprescription drugs generally have the  
7 following characteristics. They can be adequately  
8 labeled such that the consumer can self-diagnose,  
9 self-treat, and self-manage the condition being  
10 treated; no healthcare practitioner is required for  
11 the safe and effective use of the product; the drug  
12 has a low potential for misuse and abuse; and the  
13 safety margin is such that the benefit of the  
14 nonprescription availability outweighs the risk.

15 We're going to ask you to vote later today  
16 on the questions that we will ask you to discuss.  
17 The discussion will be just as important for us as  
18 how you vote. Our discussion today will include  
19 what we know about naloxone safety since it was  
20 first approved in 1971, and particularly what we  
21 can glean from the last six years of community use,  
22 particularly with regard to serious adverse events

1 such as precipitated withdrawal.

2 In addition, we will ask you to discuss  
3 aspects of the applicant's Human Factors Validation  
4 Study and associated user interface as adequate  
5 support for approval. We will ask you to discuss  
6 if there's a need for additional labeling materials  
7 to further mitigate risk. Finally, we will charge  
8 the committee today with discussing whether the  
9 applicant's product, Narcan nasal spray, is safe  
10 and effective for nonprescription use based on the  
11 information presented today to help guide us in  
12 our decision.

13 So now for the questions, but I must preface  
14 the questions by saying that they are based on the  
15 submitted application and our review. The  
16 sponsor's most recent proposal for an enlarged  
17 carton and a quick start guide has not yet been  
18 officially submitted or reviewed.

19 The first question, discuss the safety  
20 profile for use of Narcan nasal spray in the  
21 nonprescription setting. We've shared with you  
22 today both the common adverse events, as well as

1 the serious adverse events associated with naloxone  
2 that have been observed in the postmarketing  
3 setting.

4 Question number 2, discuss if the results of  
5 the Human Factors Validation Study support that  
6 consumers are able to correctly administer naloxone  
7 nasal spray in an emergency setting. This  
8 discussion is in four parts.

9 Discuss the Human Factors Validation Study  
10 design and the interpretability of the study. We  
11 shared with you the methods of how the study was  
12 conducted and how the subjects performed on the  
13 testing.

14 Part B, discuss the use errors observed in  
15 the Human Factors Validation Study where  
16 participants started with step 3, "Call 911,"  
17 during the simulation and they bypassed step 1  
18 and 2. Could the intent to market nonprescription  
19 cartons be further improved to mitigate risk of  
20 delayed administration?

21 Part C. Discuss the incorrect finger  
22 placement on the nasal spray observed during the

1 Human Factors Validation Study. Could the  
2 pictogram be further improved to optimize correct  
3 administration?

4 Part D, discuss whether the Human Factors  
5 Validation Data, submitted under the "mock"  
6 nonprescription user interface, support the safe  
7 and effective use of the proposed nonprescription  
8 naloxone nasal spray and the modified  
9 intend-to-market user interface. If not, what  
10 additional data are needed?

11 Then question 3 is, discuss whether there is  
12 additional labeling information that might mitigate  
13 risk of use errors. As consumers cannot be assumed  
14 to have received any advice from healthcare  
15 professionals before using this product, please  
16 share with us what other information you think is  
17 essential for consumers who are using the product  
18 on an emergency basis.

19 Next, the voting question. Is the  
20 benefit-risk profile of naloxone nasal spray  
21 supportive of its use as a nonprescription, opioid  
22 overdose reversal agent? If you vote no, what



1 further data should be obtained. When you vote,  
2 we're interested in knowing not just yes or no, but  
3 also your reasoning. We are also interested in  
4 knowing, now that we have new information regarding  
5 labeling plans as discussed by the applicant, how  
6 this new information would affect your vote.

7 In summary, I want to thank you again for  
8 participating in our advisory meeting today, and  
9 now I'll turn the meeting back to Dr. Coyle.

10 **Questions to the Committee and Discussion**

11 DR. COYLE: Thank you, Dr. Green.

12 The committee will now turn its attention to  
13 address the task at hand, which is the careful  
14 consideration of the data before the committee, as  
15 well as the public comments.

16 We will now proceed with the questions to  
17 the committee and panel discussion. I would like  
18 to remind public observers that while this meeting  
19 is open for public observation, public attendees  
20 may not participate, except at the specific request  
21 of the panel.

22 After I read each question, we will pause

1 for any questions or comments concerning the  
2 wording itself. After that, we will open the  
3 question to discussion. I would just like to  
4 advise the panel to please make sure that we're  
5 focusing on the specific question that is being  
6 asked. Because we do have such a large number of  
7 questions, I think it will greatly facilitate our  
8 discussion if we're able to do that.

9 So we will begin with question number 1.  
10 Discuss the safety profile for use of Narcan nasal  
11 spray in the nonprescription setting.

12 Are there any questions or comments  
13 concerning the wording of the question?

14 (No response.)

15 DR. COYLE: Seeing none, I will now open the  
16 question for discussion. And again, please use  
17 your raise-hand feature as we have been doing  
18 throughout the meeting, and please make sure to  
19 state your name into the record as you begin  
20 speaking.

21 Ms. Coykendall, please go ahead.

22 MS. COYKENDALL: Hi. Elizabeth Coykendall.

1 I've been a 911 paramedic and just wanted to speak  
2 to the safety profile. I know a few times there  
3 have been comments about mistakenly given Narcan in  
4 other situations where it could be cardiac arrest  
5 or hypoglycemia.

6 I have been on multiple scenes where that  
7 has happened, where either a firefighter, or police  
8 officer, or a bystander has given Narcan  
9 unknowingly before EMS arrival, and they've given  
10 multiple doses of Narcan, sometimes 8, 16, it  
11 depends on how many milligrams, multiple times, and  
12 in each of those cases, there have not been any  
13 adverse effects when that person was successfully  
14 resuscitated in whatever was going on. So we were  
15 able to bring them out. If they were hypoglycemic,  
16 we were able to give them the blood glucose to  
17 bring them back out.

18 So never have I seen Narcan being used in a  
19 operation where it was not indicated, and I have  
20 not seen an adverse reaction from it. Thank you.

21 DR. COYLE: Thank you.

22 I'm going to call on Dr. Brent next and

1 encourage us all to keep our comments brief and to  
2 the point so that we can really allow time for all  
3 members of the panel to participate in the  
4 conversation, which is a little bit more standard  
5 setting here for our discussion. Thank you.

6 Dr. Brent?

7 DR. BRENT: Thank you, Dr. Coyle. I just  
8 wanted to say, as a medical toxicologist -- this is  
9 Jeffrey Brent -- I can attest to the fact that  
10 naloxone is a very safe medication. I totally  
11 agree that giving it to somebody who is not opioid  
12 toxic will have no adverse effect on them. The few  
13 reports that are out there in the literature  
14 concerning significant adverse effects by rapid  
15 reversal of opioid toxicity really do not apply to  
16 the community setting. It's totally other  
17 circumstances that are non-applicable.

18 We received information today that serious  
19 adverse effects are less than 1 in 100,000, and if  
20 you look at those adverse effects, most of them are  
21 actually not true adverse effects. They are more  
22 things like putting somebody into withdrawal, or

1 non-responsiveness, or somebody who is already  
2 deceased not waking up. So based on that, I think  
3 we could all conclude that naloxone, as would be  
4 used in the community setting, would be extremely  
5 safe.

6 DR. COYLE: Thank you, Dr. Brent.

7 I'm going to call on Dr. Bicket.

8 DR. BICKET: I'm Mark Bicket at the  
9 University of Michigan with the Opioid Prescribing  
10 Engagement Network. When it comes to the safety  
11 discussion, one point that I think merits bringing  
12 up is the fact that while we haven't been able to  
13 really incorporate data from harm reduction  
14 programs and other non-traditional distribution  
15 methods, that these all do increase the amounts of  
16 product that's available, and we've seen increases  
17 in use. So while we do have data on some of these  
18 adverse events, the significance of them may  
19 actually be less, given the higher numbers of its  
20 availability and use out there.

21 It does seem like a unique situation where  
22 the availability has actually been close to mimic

1        what a nonprescription situation may be. I do want  
2        to recognize the barriers that have been  
3        acknowledged before about that, though that does  
4        seem unique and one way to promote the safety  
5        profile to only consider the risks and the  
6        benefits. Thank you.

7                DR. COYLE: Thank you.

8                I do not see anyone else in line here. I'm  
9        going to, I guess, call on myself to add a further  
10       comment about the safety profile. This is Maria  
11       Coyle, Ohio State University College of Pharmacy.

12               One comment that I want to highlight is that  
13       I think one aspect of safety that we've heard about  
14       a few times today is the potential concern about  
15       not administering it fully or not administering it  
16       entirely correctly. I would just say that I think  
17       that is not a risk per se, or is not an unsafe  
18       consideration, given that we don't have an  
19       alternative treatment that somebody might be  
20       employing for a patient who is actually  
21       experiencing a naloxone overdose, except in the  
22       situation, which Ms. Coykendall mentioned, where we

1 are delaying something like CPR for patient who  
2 might have coronary arrest or something along those  
3 lines. That would only be a consideration, I  
4 think, occasionally, but it might be something to  
5 think about in terms of are we impacting the  
6 safety. Thank you.

7 I'll call on Dr. Bateman

8 DR. BATEMAN: Thank you. As we consider the  
9 safety, I think it's worth considering the data  
10 that's available regarding programs that expand  
11 abuse and what the impact was on overdose death  
12 rates. Probably the most compelling data I've seen  
13 is from Massachusetts. I think one of the  
14 speaker's alluded to this.

15 But there was an interrupted time series  
16 analysis where they looked at the expanded  
17 introduction of naloxone into communities in  
18 Massachusetts, and then compared them with similar  
19 communities where naloxone wasn't expanded and its  
20 availability, and there were really quite  
21 significant reductions in opioid overdose death  
22 rates that were observed.

1           So I think the safety of this medication is  
2 very clear. If you give it to someone who's not  
3 opioid-dependent, it's not going to do anything,  
4 and if you give it to someone who's  
5 opioid-dependent, there may be adverse reactions  
6 associated with withdrawal, but that's a  
7 life-saving effect. Yes, I think the data are  
8 quite clear regarding the safety, and anything we  
9 can do to expand the availability of this  
10 medication in the community is going to be an  
11 important component of the public health response  
12 to the opioid crisis.

13           DR. COYLE: Thank you. Thank you very much.

14           I'll call next on Elizabeth Coykendall.

15           Please state your name for the record.

16           MS. COYKENDALL: Hi. This is Elizabeth  
17 Coykendall again. I just want to, real quick,  
18 speak to the delay of care in case it is not an  
19 opioid overdose. As number 3 on the list of things  
20 to do in the instructions is to call 911, most 911  
21 centers will then instruct the person that is on  
22 the phone to do compressions. The hands-only CPR



1 has been shown to help, even with respiratory  
2 depression, so I don't really see the delay in care  
3 as being as important or as detrimental if 911 is  
4 called. Thank you.

5 DR. COYLE: Thank you for that response.

6 Dr. Parker, please go ahead.

7 DR. PARKER: Thank you. Ruth Parker. I  
8 certainly agree that naloxone has a strong safety  
9 profile, and there is very good data to support  
10 that, that has been covered very clearly.

11 I also am encouraged to know that there was  
12 no data presented, no evidence of the unintended  
13 consequence of greater misuse of opioids, based on  
14 a higher availability of naloxone being present in  
15 certain settings, but I think that's something that  
16 will deserve careful data monitoring going forward  
17 as a potential unintended consequence that is a  
18 safety issue. But it's encouraging to note that  
19 there's no data of that at this time, and there's  
20 certainly a strong safety profile for naloxone  
21 itself. Thank you.

22 DR. COYLE: Thank you.

1 Dr. Walker-Harding, please go ahead.

2 DR. WALKER-HARDING: Hi. It's Leslie  
3 Walker-Harding. I also just wanted to agree along  
4 with everybody else that is saying that there's  
5 evidence of a strong safety profile. There's also  
6 nothing to indicate this is unsafe for children,  
7 young people who need it, as well as young people  
8 who administer it. So I think this drug has had a  
9 lot of use, and I see no concerns at all with the  
10 safety profile or anything that's been brought up.

11 DR. COYLE: Thank you, and thank you for  
12 that addition, addressing pediatric exposure and  
13 data in that regard.

14 I'm going to acknowledge Ms. Coykendall for  
15 our final comment here, and then we'll summarize  
16 and move on.

17 MS. COYKENDALL: Thank you. I just want to  
18 respond to Dr. Parker and the concern where users  
19 will end up using more often. In my experience  
20 going on responding calls, the users absolutely  
21 have Narcan, and they all know how to use it. And  
22 since Narcan has been available to more people

1 through places like Walgreens with easy-to-use  
2 prescriptions, I have had to administer less Narcan  
3 as an emergency responder, and the users are  
4 surviving more because they do know how to use it.  
5 So just having Narcan over the counter will give  
6 them more opportunity to stay alive. Thank you.

7 DR. COYLE: Thank you.

8 I do want to wrap up this part of the  
9 discussion, so Dr. Walker-Harding, if you have a  
10 brief comment to add, that would be great, But  
11 otherwise --

12 (Crosstalk.)

13 DR. WALKER-HARDING: Yes. I forgot to say  
14 something about that as well. I think that fear  
15 that people are going to overuse something, it's a  
16 common concern without understanding the mechanisms  
17 for why people use. I heard the same thing with  
18 over-the-counter Plan B. People were worried kids  
19 would have more sex if they had Plan B. That's  
20 just not how people think, and it's not how people  
21 who have an opioid-use disorder would think. So I  
22 think that's something we really don't have to

1 worry about.

2 DR. COYLE: Thank you very much.

3 So we will move on from question 1. Just to  
4 summarize, I think we heard some clear comments  
5 that the safety of Narcan nasal spray has been very  
6 well established. There appear to be very minimal  
7 risks, if any, in terms of unintended effects or  
8 unintended serious effects that are worse than the  
9 alternative of not treating a patient, as well as  
10 down-the-line effects on behavior and use of  
11 opioids, or we're increasing exposure down the  
12 line. So I think, overall, we as a committee  
13 appear to be in support of the safety profile  
14 without substantial concerns related to that.

15 I'm going to move on to our discussion  
16 question number 2, and once again, I'm going to  
17 read the question and first ask if there's any  
18 issues or questions about the wording itself before  
19 we move on. For question number 2, since there are  
20 a number of subparts, I might suggest that we focus  
21 on the subparts included on the slide in front of  
22 you for our conversation right now, but again, let

1 me start with the question itself.

2 Discuss whether the results of the Human  
3 Factors Validation Study support that consumers are  
4 able to correctly administer nonprescription nasal  
5 naloxone sodium in an emergency situation.  
6 Particularly discuss the Human Factors Validation  
7 Study design and the interpretability of this  
8 study.

9 Discuss the use errors observed in the Human  
10 Factors Validation Study where participants started  
11 with step 3, "Call 911," during the simulation and  
12 bypassed steps 1 and 2. Could the intend-to-market  
13 nonprescription carton be further improved to  
14 mitigate risk of delayed administration?

15 First, let me check. Are there any issues  
16 or confusion around the wording of these discussion  
17 questions?

18 (No response.)

19 DR. COYLE: Seeing none, I will open the  
20 floor to discussion. Please wait to be called on  
21 and, again, restate your name. I'd especially like  
22 to encourage anyone who has not yet participated to

1 please share if they have information or a  
2 perspective that could be valuable for  
3 consideration before our vote.

4 Dr. Clement, you may begin.

5 DR. CLEMENT: Yes. Steve Clement again.  
6 Clearly, these are very important and vital  
7 questions to be answered. I'd like to put it in  
8 the context from the industry/sponsor standpoint.

9 This is a new area for them, too. Right?  
10 These guys, they're developing compounds or  
11 developing packaging they've got to work out  
12 through the whole supply line. But to come up with  
13 this whole human factors issue, this may be the  
14 first time they're doing this. So I'd just like to  
15 consider that they're rookies on this, and they're  
16 going to make mistakes, but I think these are all  
17 mistakes that can be remedied.

18 I clearly think they need a new study. The  
19 whole issue is this is a condition that's killing  
20 people. Is there something that could be done  
21 postmarketing, so to speak, and fix it, and  
22 basically take the first stab? And get together

1 with FDA folks -- because you guys have a lot more  
2 experience on this area than they do,  
3 clearly -- and come up with the best label that you  
4 can potentially have, and just go with it, and then  
5 do a study on it after the drug's out. I'll stop  
6 there. Thank you very much.

7 DR. COYLE: Thank you, and I will turn the  
8 floor over to Dr. Sprintz.

9 DR. SPRINTZ: Hi. This is Michael Sprintz,  
10 and I definitely agree with Dr. Clement about the  
11 idea of when we talk about risk-benefit ratio,  
12 absolutely, I think the benefits outweigh the  
13 risks. And while the study could do better, I  
14 think that the solutions that were brought up  
15 earlier in the day that were being discussed with  
16 the FDA in terms of having all the steps all on the  
17 back package, or at the very least, the three first  
18 steps on the back of the package is key, as well as  
19 inserting the package into the blister pack.

20 Both of those I think could improve it and  
21 mitigate the risk of delayed administration, but I  
22 think those have already been put in. At the end

1 of the day, I like the suggestion also of is there  
2 a way to do postmarketing improvement on it because  
3 it really does need to get out as soon as possible.  
4 Thank you.

5 DR. COYLE: Thank you.

6 Dr. Horrow?

7 DR. HORROW: Yes. Thank you. Jay Horrow.  
8 I'm the industry representative on the Anesthesia  
9 and Analgesic Drug Products Committee. I do  
10 believe that any study design will be less than  
11 perfect. Certainly the agency has gone through  
12 great lengths to point out the imperfections in the  
13 Human Factors Study that was presented, and it  
14 could have been better.

15 Nevertheless, I think we need to consider  
16 the extent to which it has resulted in tremendously  
17 good suggestions for improvement; suggestions that,  
18 in fact, the applicant has taken into  
19 consideration. As best as I can recall,  
20 applicant's slide number 60 clearly displays their  
21 updated proposed carton that has all five of the  
22 steps, including the pictographs on one panel. And



1 if I'm not mistaken, that is what they are  
2 proposing, along with including the Quick Start  
3 Guide.

4 So I would suggest to members of the panel  
5 that they seriously consider whether or not it will  
6 be appropriate to insist or recommend another human  
7 factors validation study after considering  
8 approvability. Such a move I believe will delay  
9 the availability of the product to much needed  
10 patients, and it will not be a trivial thing to  
11 undertake. Thank you very much. That's my  
12 comment.

13 DR. COYLE: Thank you. I think just to  
14 restate, really, the spirit of the comments from  
15 both of our two previous speakers, acknowledging  
16 that not only is there a risk potentially to using  
17 a product incorrectly but perhaps a far greater  
18 risk of delaying the availability of a product,  
19 given the climate of this crisis and the  
20 devastating consequences.

21 I'm going to call on Dr. McAuliffe next.

22 DR. McAULIFFE: Hi. Maura McAuliffe, East

1 Carolina University. In looking at the limitations  
2 of the studies, the human factors verification  
3 study, the part that kind of strikes me the most is  
4 that pediatric users between the ages of 10 and 14  
5 were not included, and we don't have that data. I  
6 think we do need to have it. I don't think that  
7 it's, for me, a reason to stop this from going  
8 forward; however, I think these studies by the FDA,  
9 with their Drug Facts Label study as well, could be  
10 accomplished concomitantly, and we could get data  
11 that might help with the design of the labeling in  
12 the future. So I think those do not need to be  
13 overlooked. They need to be done concurrently.

14 Then to add to other people's comments about  
15 the pharmacovigilance, there's got to be some way  
16 to collect that data on an over-the-counter drug,  
17 especially one that is saving lives. We've got to  
18 be able to have a handle on that data. Thank you.

19 DR. COYLE: Thank you, Dr. McAuliffe.

20 I'm going to call on Dr. McCann.

21 DR. McCANN: Sorry. I would just like to  
22 echo the comments that were just made. My

1 question -- Mary Ellen McCann from Boston -- is why  
2 didn't anybody from the FDA or the company think  
3 about testing children less than 15 years of age?  
4 It just seems like in future studies, that should  
5 be part of it. I don't know the history of the FDA  
6 and childproof caps, but at some point you must  
7 have had babies and older children trying to open  
8 up caps to see if they were actually childproof.  
9 And it just seems, since we know that children and  
10 young teens will be using this medication, that  
11 that should be part of the testing process. And  
12 that's it. Thank you.

13 DR. COYLE: This is Maria Coyle. I'm going  
14 to just respond to that to say that I think in the  
15 FDA presentation and the clarifying questions, they  
16 did actually provide some rationale as to why that  
17 happened in the original comprehension study;  
18 however, they did not say that they thought it was  
19 an ideal situation. I think they didn't know.  
20 Just to summarize that, and I'll invite FDA to  
21 follow up on my comment with anything further, if  
22 needed.

1 DR. McCANN: Thank you.

2 DR. MICHELE: Hi. This is Theresa Michele,  
3 nonprescription drugs, FDA. Just a couple of  
4 comments. First off, with regard to postmarketing  
5 studies, unlike in the prescription setting, FDA  
6 does not have the ability to require postmarketing  
7 studies for nonprescription drugs. So while  
8 certainly sponsors are welcome to conduct those, we  
9 cannot require those.

10 With regard to child-resistant packaging,  
11 that is not under the jurisdiction of FDA. That is  
12 under a different federal agency, under the Poison  
13 Control and Prevention Act under the CPSC.

14 DR. COYLE: Thank you, Dr. Michele.

15 Dr. Horrow, do you have another comment?

16 DR. HORROW: Thank you. This is Jay Horrow.  
17 Just very quickly, with respect to the point made  
18 by Dr. McAuliffe, I do recall hearing FDA mention  
19 that it was the IRB in the Label Comprehension  
20 Study that expressed concern for subjecting people  
21 less than 18 years of age to the trauma of having  
22 to read a label about someone who is in distress.

1 I find it hard to believe that we would want  
2 to recommend that the sponsor conduct a study that  
3 would subject this same population to the trauma of  
4 having a mannequin before them and having to  
5 perform a resuscitative type procedure such as  
6 administering nasal naloxone. I'm just a little  
7 bit confused by the disconnect of these two  
8 situations, and would hope that maybe the panel  
9 could discuss it if they really believe that it's  
10 important to investigate -- [inaudible - audio  
11 gap].

12 DR. COYLE: Dr. Horrow, we've lost your  
13 audio, so I'm going to move on.

14 DR. HORROW: Oh. I'm sorry. I beg your  
15 pardon. Did you hear none of that?

16 DR. COYLE: I think maybe all but the last  
17 few sentences, but I think what we heard from you  
18 is --

19 DR. HORROW: You get the idea. Thank you.

20 DR. COYLE: -- you do have some concerns  
21 that a young participant in such a trial might find  
22 some trauma, and you would be interested in having

1 the panel discuss that further --

2 DR. HORROW: Thank you.

3 DR. COYLE: -- if there is time.

4 DR. HORROW: Very good. Thank you. Sorry.

5 DR. COYLE: Perfect. Thank you.

6 I'm going to move on to Dr. Higgins first,  
7 and then Dr. Parker.

8 DR. HIGGINS: I do agree with Dr. McCann  
9 that there must be ways in which to make it safer  
10 for use, but I'm a little concerned about the  
11 packaging changes with respect to youth. I'm not  
12 terribly old. I have trouble getting open  
13 child-resistant objects and packaging, as do other  
14 people who are even older than me. So I wonder  
15 about that and the delay that it would cause trying  
16 to fuss with packaging.

17 DR. COYLE: Thank you.

18 Dr. Parker? Please state your name for the  
19 record.

20 DR. PARKER: Yes. Ruth Parker. I just  
21 want, for the record, to also underscore the  
22 difficulty of commenting on what the sponsor now

1 proposes to use in a label and what has been  
2 reviewed by the agency, and we're being asked to  
3 comment on what the agency has reviewed so far. So  
4 that makes it difficult because, in my mind, and I  
5 think in many, the proposed label now incorporates  
6 some changes that are very significant, and they've  
7 not yet been reviewed by the agency, and we're  
8 commenting on what has been reviewed up to this  
9 point. So I just want to make sure that's clear in  
10 the record.

11 That said as background, the Drug Facts  
12 Label and label comprehension that was done by the  
13 FDA and made available to the sponsor is incredibly  
14 important in underscoring how well the label is  
15 actually comprehended. That's not human factors,  
16 that's not the actual use, which is picked up in  
17 the Human Factors Study.

18 Two things about the human factors that I  
19 wish had been done, but we know they were not done,  
20 but I can only imagine that they would enhance the  
21 results that we have from human factors that are  
22 made available based on the label -- that is not

1        what they now say that they would go forward and  
2        use is -- one, there is no quick user guide, so we  
3        don't know from the human factors trial whether or  
4        not the Quick User Guide, which the sponsor now  
5        says would be included in the product, might  
6        enhance the use of the product. I don't think it  
7        would make it worse. I think it only would stand  
8        to make it better.

9                The other issue is that the ordering of the  
10        steps and having them all presented on one drug  
11        back panel seems to be a really big issue, and the  
12        use errors that are reported seem to relate, many  
13        of them, to the fact that there was confusion about  
14        where to start and where to turn. And putting  
15        those sequentially all on one panel, again, aligns  
16        with the strong comprehension that came out of the  
17        Label Comprehension Study.

18                This leads me to the question of whether or  
19        not there needs to be another human factors study.  
20        I don't think, based on what I've seen, that the  
21        proposed improvements -- they do need to be  
22        reviewed. That label does need to be reviewed



1 carefully by the FDA, but whether or not another  
2 human factors study is actually required, I'm not  
3 certain at all that that needs to be required  
4 because the base validity is just so high that  
5 those things are going to make results better and  
6 not worse, and there's such an urgent need from a  
7 public health standpoint to move forward with that.

8 So I just wanted to put those points onto  
9 the record, and just state that it's hard to  
10 respond to those studies that were reviewed by the  
11 FDA when incorporated improvements are now being  
12 noted by the sponsor, and those seem to be very  
13 good improvements. Thank you.

14 DR. COYLE: Thank you for those  
15 contributions, Dr. Parker.

16 This is Maria Coyle again, and I just want  
17 to state that I think what you have just shared  
18 actually represents the spirit of many of the  
19 comments that we have heard thus far heard and  
20 discussed, in that many of the aspects of this case  
21 are unusual or unprecedented. We would like more  
22 information, more reassurance, more study in many

1 situations, or we could recommend ways to maybe  
2 enhance the package without having any authority  
3 through the OTC process and the FDA necessarily to  
4 enact those recommendations, and yet a sense of  
5 urgency that this is a critical question that  
6 cannot be delayed in being addressed. So I think  
7 that summarizes much of what I've heard thus far.

8 I'm going to call on Dr. Ginsburg, and then  
9 Dr. Ballou, and then maybe we'll move on to the  
10 next question.

11 Dr. Ginsburg?

12 DR. GINSBURG: Thank you. Diane Ginsburg.  
13 I want to echo something that Dr. Horrow said. I  
14 guess when I look and see what expertise the  
15 various members of this advisory council brings to  
16 this group, and being the person who brings the  
17 biomedical ethics and pharmacy law piece to this,  
18 quite honestly, when I saw that limitation of not  
19 studying adolescents and children 10 to 14, I  
20 wasn't upset about that at all because my concern  
21 of doing research with that population, with this  
22 type of product, would have been very concerning to

1 me.

2 I think about this in regards to programs  
3 that we have here on our campus at the University  
4 of Texas at Austin, where we do significant  
5 outreach in the communities, and in communities  
6 where we're talking to children who are of that  
7 age. So in thinking about, again, weighing risk  
8 versus benefit, we can always make research and  
9 studies better, but that really was not a concern  
10 to me. And if anything, I think getting the  
11 product out there, knowing that education will be a  
12 piece of this, and the role as a pharmacist that I  
13 see perhaps we can even have in educating, that  
14 limitation would in no way negate the work that was  
15 done in this research. And I applaud them for  
16 doing a human factors validation study on a product  
17 like this. Thank you.

18 DR. COYLE: Very good. Thank you.

19 Dr. Ballou?

20 DR. BALLOU: Jordan Ballou. I'm a community  
21 pharmacist and a clinical associate professor at  
22 the University of South Carolina. I don't know

1 that I have anything else to add after  
2 Dr. Ginsburg's comment, which was pretty  
3 comprehensive of what I was going to share as well.

4 My work as a community pharmacist, I am  
5 behind the counter a lot, and I am doing the  
6 dispensing and doing the educating. I've worked  
7 with a lot of harm reduction coalitions,  
8 particularly youth coalitions, in the work that I  
9 have done, and working with high school-aged  
10 children. I feel like that comment is coming up a  
11 lot about pediatrics, and actually they are the  
12 leaders of these groups that I've worked with, and  
13 seeing them doing the work alongside their peers,  
14 doing peer-to-peer education and training as well.

15 I don't know that this is the right place  
16 for my comment, but just because pediatrics has  
17 been coming up so much, I wanted to just make that  
18 note, as well as I think that we have to also  
19 underscore the work that so many harm reduction  
20 groups are doing out there already in this space.

21 I'm particularly looking at question 2b  
22 about the error where people call 911 first. I

1 don't think that's a huge problem. They're going  
2 to say, "Do you have naloxone right there with you?  
3 Let's go ahead and administer it." So  
4 particularly, to just bring the point back to this  
5 particular question on the slide, I don't know that  
6 what we've seen so far should prevent this from  
7 moving forward at the discussion at this time.  
8 Thank you.

9 DR. COYLE: Thank you, Dr. Ballou.

10 I would like to ask the committee, the panel  
11 members, to look specifically at those  
12 subquestions, particularly the use errors, the  
13 issue of starting with step 3 rather than with  
14 step 1, which is to administer the naloxone spray  
15 and further improvements, and ask if there's any  
16 additional comments that are germane to the  
17 specific question as we wrap up this part of the  
18 conversation. A final thought?

19 Yes, Dr. Sprintz?

20 DR. SPRINTZ: Hi. This is Michael Sprintz.  
21 Yes, I actually had struggled with the idea of  
22 having step 3, calling 911, being the third step as

1       opposed to the first step. I think there's a good  
2       argument on both sides in terms of hurry up,  
3       minutes count. Minutes are brain, and that  
4       matters. But at the same time, I had thought about  
5       the idea of a lot of people are freaking out and  
6       they don't understand how to utilize the product,  
7       so picking up the phone and calling 911 gets EMS  
8       there and starts that process moving, and  
9       oftentimes, I believe they were talking about how  
10      an EMS dispatcher can also help guide the person to  
11      deliver the naloxone. I don't have a clear  
12      decision on which one should be first, but I see  
13      benefits to both.

14                 DR. COYLE: Thank you, Dr. Sprintz.

15                 Dr. Walker-Harding, go ahead.

16                 DR. WALKER-HARDING: Hi. Yes. I think in  
17      that sense, in a perfect world you would actually  
18      have a phone answered and somebody addressing it.  
19      People wait on hold for 911 in many parts of the  
20      country, and if you're waiting on hold for a few  
21      minutes, you might have lost your window to use  
22      the -- just like with CPR, I'm very much for not

1       having that be the first thing you do necessarily.  
2       Get the treatment there, and then get the backup  
3       second because not everywhere -- in many places,  
4       you don't get immediate phone answering or help  
5       when you call 911. So that's what I'd say about  
6       that.

7               I'm not concerned about people calling out  
8       of sync or any of those things. I think the most  
9       important thing is they have a life-saving medicine  
10      in their hands, and that they try to use it the  
11      best they know how to use it by reading the  
12      instructions that saves a life. Outside of that,  
13      that life is not saved, but it goes for kids.

14             DR. COYLE: Thank you.

15             Dr. Dato?

16             DR. DATO: Hi. Thank you. Mark Dato,  
17      industry representative, nonprescription drugs.  
18      I'd just like to say in a high level here that I'd  
19      like us all to remember the study as designed. I  
20      would say successful, so the HFVS was successful.  
21      Could it be improved in some ways? Of course, and  
22      I think a lot of people made suggestions on further

1 things that could improve that.

2 I think taking in totality the absolute  
3 safety of this compound, the absolute overwhelming  
4 need, I think some of the suggestions of move it  
5 forward and improve as we go is a good suggestion.  
6 As they say, the evil of good is perfect. That's  
7 my comment. Thank you.

8 DR. COYLE: Thank you very much.

9 Okay. One final question to FDA. Have you  
10 gotten sufficient input on this question and this  
11 set of subquestions for us to move forward?

12 DR. MICHELE: This is Theresa Michele,  
13 nonprescription drugs. Yes. Thank you so much,  
14 Dr. Coyle.

15 DR. COYLE: Okay. So we will move on to the  
16 next slide. This is still question 2, overall  
17 addressing the results of the Human Factors  
18 Validation Study and supporting whether consumers  
19 are able to correctly administer the  
20 nonprescription naloxone in an emergency situation.  
21 Once again, I'm going to read the specific  
22 subquestion, ask for any input as to wording or



1 clarification, and then I will open the floor for  
2 general discussion.

3 Question 2c before the committee, discuss  
4 the incorrect finger placement on the nasal spray  
5 in the Human Factors Validation Study. Could the  
6 pictogram be further improved to optimize correct  
7 administration?

8 Discuss whether the Human Factors Validation  
9 Study data submitted using the "mock"  
10 nonprescription user interface supported the safe  
11 and effective use of the proposed nonprescription  
12 naloxone nasal spray and the modified  
13 intend-to-market user interface. Then, if not,  
14 what additional data are needed?

15 First, I'm going to ask only hands be raised  
16 if there needs to be clarifications on the wording  
17 or the question. It's a lot of words to process.

18 (No response.)

19 DR. COYLE: Okay. It seems that the  
20 questions are clear, so we'll go ahead and open the  
21 floor for discussion. I do believe it was  
22 Dr. Ballou and then Dr. Ginsburg.

1           Dr. Ballou go ahead, please. Again, restate  
2 your name for the record.

3           DR. BALLOU: Yes. Jordan Ballou. With  
4 regard to 2c, I am strongly in favor of the FDA's  
5 proposal for our consideration of including, I  
6 think, a picture that clearly labels what is nozzle  
7 or tip, or whatever word is chosen to be used, and  
8 clearly labeling what is plunger. I like the  
9 picture that is shown where the plunger is a  
10 different color than the nozzle. I think that  
11 makes it much clearer.

12           So I would absolutely be in favor of that  
13 proposal that FDA gave for our consideration, to  
14 include a picture with labels, and then also  
15 showing an actual nostril and what that insertion  
16 should look like.

17           DR. COYLE: Thank you.

18           Dr. Ginsburg, you have the floor.

19           DR. GINSBURG: Thank you very much. Diane  
20 Ginsburg, and I concur with Dr. Ballou. I'm  
21 thinking of this from the perspective of trying to  
22 teach students how to inject, and when their thumb

1 is on the plunger, how a lot of times the drug or  
2 vaccine never even goes in the arm, and it was my  
3 first reservation when I saw the pictogram related  
4 to how to administer the drug. So I think anything  
5 that they can do, as was suggested, to enhance  
6 that, I think would be very helpful. If healthcare  
7 provider students have difficulty, and we're asking  
8 laypeople to try and utilize this device, anything  
9 that would enhance that I think would be very  
10 beneficial.

11 DR. COYLE: Thank you.

12 Ms. Coykendall?

13 MS. COYKENDALL: Thank you. Elizabeth  
14 Coykendall. One suggestion for the pictogram is  
15 just to make sure that the picture and the color  
16 differences on the picture -- like if the plunger  
17 is green, make sure it correlates with the actual  
18 material that's in the package because that could  
19 make somebody think that they have the wrong thing.  
20 So as long as the colors correlate, I think that  
21 would be a great idea.

22 DR. COYLE: Thank you.

1 Dr. Higgins, go ahead.

2 DR. HIGGINS: Jennifer Higgins. We're all  
3 making assumptions about what would be the best way  
4 of approaching the labeling, and I'm wondering  
5 about consumer participation in this process.  
6 Might it be useful to have a use survey to see what  
7 would be appealing to them, what would come across  
8 clearly to them, rather than making assumptions? I  
9 don't know if the IRB would be in favor of that or  
10 not.

11 DR. COYLE: I just want to invite anyone who  
12 has not yet spoken, specifically about the issue  
13 related to incorrect finger placement on the nasal  
14 spray.

15 Dr. McAuliffe?

16 DR. MCAULIFFE: Hi. Maura McAuliffe from  
17 East Carolina University. I think the data around  
18 the prescription pictogram is there, and I think  
19 the data are that it's very effective. From my  
20 viewing of it, in figure 6 of the FDA documents,  
21 it's very clear where the plunger is and where the  
22 finger goes, and I think that they might want to

1 use what they've already got. Thank you.

2 DR. COYLE: Thank you, Dr. McAuliffe.

3 This is Maria Coyle again. I think I will  
4 just add that I think what Dr. McAuliffe was  
5 referring to is the proposed pictogram, the  
6 adjustment; not what was currently in there.

7 Is that correct, Dr. McAuliffe?

8 DR. McAULIFFE: Yes, that's correct. Thank  
9 you.

10 DR. COYLE: Any final comments on item C  
11 before we move to discuss the subquestion and  
12 subparts of item D here on the slide?

13 (No response.)

14 DR. COYLE: Let's move on and see if there's  
15 any further discussion, then, about the mock  
16 nonprescription user interface, particularly both  
17 the proposed nonprescription naloxone interface and  
18 then the modified one.

19 Can I clarify from FDA, does that mean that  
20 the one that the sponsor has suggested to modify,  
21 or the one that the FDA has suggested for  
22 modification, or both?

1 DR. MICHELE: Hi. This is very difficult  
2 because I appreciate the position of the panel here  
3 because you're being asked to comment on sort of a  
4 moving target. That was actually the position that  
5 we were in as well when we were reviewing this  
6 because the sponsor tested one thing, and then  
7 proposed something else, and now they're proposing  
8 a third thing.

9 So I would just ask the panel to do the best  
10 you can, and please be clear about what specific  
11 label you're speaking about.

12 DR. COYLE: Thank you, Dr. Michele. That's  
13 very helpful.

14 Dr. Clement, you're up. State your name,  
15 please, first.

16 DR. CLEMENT: Yes. I think we were trying  
17 to see how many angels go on the tip of a pin at  
18 this point on trying to decide all these little  
19 things because --

20 DR. COYLE: [Inaudible].

21 DR. CLEMENT: Can you hear me?

22 DR. COYLE: I just need your name for the

1 record.

2 DR. CLEMENT: Oh. Dr. Clement, Steve  
3 Clement. Yes, we're sort of circling around this  
4 same issue. There are huge amounts of data that  
5 FDA now knows on how to make a really good  
6 pictogram. I think there are lots of things that,  
7 particularly, if you put it in a quick start guide,  
8 then you have a lot more room because it's bigger.  
9 It's not just on the side of a package.

10 I would recommend use the comments that you  
11 have to get the best from the FDA, and work it out  
12 with the sponsor, and get something that  
13 intuitively looks great, and just go with it,  
14 because this drug needs to get out to patients,  
15 essentially. That's the end of my comments. Thank  
16 you very much.

17 DR. COYLE: Thank you for that.

18 Dr. Brent, please go ahead.

19 DR. BRENT: Thank you, Dr. Coyle. Jeffrey  
20 Brent here. I would just like to, once again,  
21 stress that the information on both of the  
22 interfaces is such that it calls for the continued

1 re-administration of doses every 2 to 3 minutes,  
2 which would just very, very quickly add up to a lot  
3 of doses for no particular reason, and this is an  
4 ill-conceived suggestion. I suggest maybe going  
5 back a little bit more to the drawing board and  
6 thinking critically about that suggestion because  
7 it really does not make any sense, and it gives the  
8 user no guidance about do they stop at 3 doses,  
9 5 doses, 8 doses?

10 This is different than the instruction that  
11 if a person wakes up and then later becomes  
12 re-sedated, that they should get administered  
13 another dose. That obviously makes a lot of sense,  
14 but this idea that if somebody does not respond, to  
15 continue to re-dose them every 2 to 3 minutes after  
16 they've gotten 2 doses makes no sense at all, and I  
17 think can be very confusing to people and cause  
18 them to use an awful lot of these devices that they  
19 don't need to be using. Thank you.

20 DR. COYLE: Thank you very much. I think  
21 that comment will do well to lead us into  
22 question 3.



1 I think before we close out question 2, I  
2 would just like to add a comment. This is Maria  
3 Coyle from The Ohio State University College of  
4 Pharmacy. One thing that we have not discussed in  
5 regards to the Human Factors Validation Study was  
6 the less than ideal representation of adults with  
7 low literacy in this study and what impact that  
8 might have. So I'd just invite any comments or  
9 thoughts from the panel on that issue in particular  
10 if we feel like there may need to be accommodations  
11 or if there should be further work in regard to  
12 that low literacy complication.

13 I'm looking at you, Dr. Parker. Go right  
14 ahead.

15 DR. PARKER: Hey there. Ruth Parker. I'm  
16 going to give a little nod to the Drug Facts Label  
17 of the FDA. I think the pictograms that are being  
18 utilized in this label are enhancing the ability to  
19 understand the content of multi-step instructions.  
20 I was not feeling like there was a need to include  
21 a higher percentage of patients and, again, my  
22 inclinations on this whole thing relates to the

1 urgency of doing something and the importance of it  
2 from a public -- perfect, no, but looks really  
3 good, and incredibly encouraging.

4 So I did not feel like there was a need to  
5 target more low-literate patients and, really, that  
6 Label Comprehension Study is incredibly  
7 encouraging, and I think those pictograms are  
8 really quite good.

9 I agree with the comments before that  
10 inclusion of the two pictograms around placement of  
11 the fingers and nozzle will enhance. I point out  
12 the importance of the pictograms because,  
13 obviously, we're discussing having the label  
14 available in English only, and the pictograms  
15 enhance the ability for people who are non-native  
16 English speakers to hopefully be able to also  
17 understand and use the product. Thank you.

18 DR. COYLE: Thank you. I see that prompted  
19 a few more hand raises. I'm going to go through  
20 this list and ask that you just keep your comments  
21 brief and focused on additional considerations, if  
22 possible, just so that we can be sure to have

1 sufficient time for question 3 and our voting  
2 question as we go.

3 Ms. Coykendall?

4 MS. COYKENDALL: I actually don't have  
5 anything further to say. Dr. Parker covered the  
6 fact that the pictograms not only deal with low  
7 literate, but also anybody that is non-English  
8 speaking. So I think the pictograms make it very  
9 clear, and that's how it's going to be handled  
10 best.

11 DR. COYLE: Thank you.

12 Dr. Ballou?

13 DR. BALLOU: Yes. Jordan Ballou. I was  
14 just going to bring a comment, and again, I'm not  
15 sure if this is the most correct version, but it is  
16 in our briefing materials, page 45, table 11, the  
17 intend-to-market carton submitted September 29,  
18 2022.

19 On the very front of the package, it says  
20 the phrase, "no training required," and I just take  
21 a bit of issue with that just in the fact that I  
22 feel like people do need to at least look at the

1 product, and that phrasing seems a little  
2 misleading to me to say, "no training required."  
3 So I wonder if there's just a different phrasing  
4 that could be used in so that individuals who are  
5 purchasing this product familiarize themselves with  
6 it at the time of purchase as opposed to waiting  
7 until they actually need to use it. Thank you.

8 DR. COYLE: Thank you for that perspective.

9 Dr. Walker-Harding, go ahead.

10 DR. WALKER-HARDING: Hi. Leslie  
11 Walker-Harding. I am also very supportive of the  
12 pictograms for the sheer number of different  
13 languages that are spoken in the country, and also  
14 for kids who may be needing to use this who do not  
15 even read yet. I think the pictograms are very  
16 helpful and solve that concern.

17 DR. COYLE: Thank you.

18 This is Maria Coyle. I'm going to summarize  
19 our conversation around the set of questions on  
20 this particular slide. I think the committees are  
21 strongly in favor of including the pictograms and  
22 perhaps even enhancing them under the FDA's

1 guidance so that it's really understandable and  
2 accessible to all users, not just English-reading  
3 users or adults who have a good grasp of written  
4 word.

5 I think in terms of the overall  
6 nonprescription user interface, the panel really  
7 appreciated many of the suggestions that were  
8 shared today by both the sponsor, in terms of  
9 moving all of the stuff under one panel, as well as  
10 some of the additional considerations in the FDA  
11 presentation. Our bottom line is that we would  
12 really like those two entities to work together to  
13 develop the best possible label while still moving  
14 this product forward as best as possible, so that  
15 it is more accessible, acknowledging that urgency  
16 to act and not letting maybe too much of the  
17 fine tuning stand in the way of getting the product  
18 available as appropriate.

19 Could we move on to the next slide and to  
20 our discussion question number 3? As a further  
21 reminder, another reminder, I'm going to just read  
22 the question first. If you have a question or

1 issue with the wording, please raise your hand, and  
2 we can address that, and then I will open the  
3 question up for discussion.

4 Discussion question 3, please discuss  
5 whether there is any additional labeling  
6 information that might mitigate risk of use errors.

7 Any issues or concerns with the wording?

8 (No response.)

9 DR. COYLE: Seeing none, I will open the  
10 floor for discussion.

11 Dr. Walker-Harding, you may begin.

12 DR. WALKER-HARDING: I don't know if you  
13 mean wording, the numbering. I do think the  
14 numbering of 1, 2, 3, 4, 5 should be big, bigger  
15 than what we saw in these, but I'm sure people at  
16 FDA can work on it who have done this before. But  
17 that's what I noticed.

18 DR. COYLE: Thank you.

19 Dr. Ness?

20 DR. NESS: This is Tim Ness from Birmingham,  
21 Alabama. For me, I guess one of the important  
22 things that should be added, because I keep

1 hearing -- we're all working on this thing of  
2 people are grabbing this package and they have to  
3 use it right now, but I think most people who buy  
4 these because they're worried about their child  
5 overdosing, it's going to sit down and spend some  
6 time going through the thing. So the labeling is  
7 very important and worthwhile, or immediate, got to  
8 do it right now, but we don't have anything there  
9 if people want more information.

10 I could easily see that if the labeling  
11 included something like a QR code to go to a  
12 YouTube, or something that shows how you actually  
13 go through this whole process -- I mean, I know if  
14 I was a parent and I was worried about this, I'm  
15 going to figure out how I'm supposed to do this  
16 before I have to do it in an emergency. So that  
17 would, I think, help mitigate risk of use errors by  
18 adding that. It also gives a portal, then, for  
19 extra information that could potentially be out  
20 there related to connection to care things, too.  
21 It would be changeable. You could have it in  
22 multiple languages, these sorts of things. It

1 would just have to be providing a link or something  
2 for more information.

3 DR. COYLE: Thank you, Dr. Ness.

4 Dr. Shoben, go ahead. Please state your  
5 name for the record.

6 DR. SHO BEN: Sure. I'm Abby Shoben, and  
7 this is a quick comment that was brought up  
8 earlier, which may be opening a can of worms, given  
9 the studies that were already done on comprehension  
10 of the label. But there was a point that if there  
11 was a second person available, that having that  
12 second person call 911 right away could be  
13 happening in concert, and that might be clearer on  
14 the label. Thank you.

15 DR. COYLE: Thank you for that comment.

16 Dr. Parker?

17 DR. PARKER: Ruth Parker. I had a couple of  
18 specific suggestions, and I recognize that I'm  
19 talking about -- I'm going to speak to the proposed  
20 updated labeling that the sponsor presented us that  
21 has not yet been reviewed by the FDA, assuming that  
22 that gets presented to the FDA and is reviewed by



1 the FDA. So I'm going with that. So it was kind  
2 of like the improvements, the formative  
3 improvements that incorporated some of their human  
4 factors study.

5 My suggestion would be that the Quick Start  
6 Guide, yes, be included, and the Quick Start Guide  
7 should, in my mind, be the exact same content that  
8 is on the labeling on the back so that when  
9 somebody opens this thing, I'm assuming the Quick  
10 Start Guide is on some of that really thin paper  
11 that's folded 25 times, and when you undo it, you  
12 then have the exact same content all the way down  
13 to the carriage return so that if you haven't read  
14 it, you look at it and say, "Oh yeah. These are  
15 the same."

16 Your font can be much better in terms of  
17 accessibility on that quick start because it's  
18 going to be bigger. I never got the exact number  
19 of the font size on the back of the panel, but I'm  
20 assuming it's pretty dadgum small because you got a  
21 lot of content that you're squeezing into a smaller  
22 amount of real estate there. But I think for

1 understandability, if you can make those look just  
2 alike -- I don't know if you incorporate the same  
3 color on the back of the panel that you'll end up  
4 using on the Quick Start Guide, but the more you  
5 can do to make those things look the same, I think  
6 it will improve the end user's ability to see it  
7 and take advantage of the content that you're  
8 trying to communicate clearly.

9           So that's one thing. I think the front  
10 display panel should include instead of one, two  
11 devices so that it's clear that those are in there.  
12 And at least if I'm understanding correctly, the  
13 proposed eventual display panel of the front, I  
14 can't even read that white on pink down in the left  
15 corner, so I would really take a careful look at  
16 the use of color. White on top of pink is not a  
17 quickly readable label, and if this is something  
18 that people are buying and you really want to make  
19 sure they understand it, be sure that the use of  
20 color is enhancing the readability and not in any  
21 way making it less accessible to the end user.  
22 Thanks.

1 DR. COYLE: Thank you. Thank you for those  
2 comments.

3 Over the last few discussion points, I've  
4 heard a suggestion for a QR code leading to a  
5 website or perhaps a demonstration video, more  
6 clearly defining or acknowledging the role of the  
7 second rescuer, if available, and then a little bit  
8 more detail on the Quick Start Guide, and in fact  
9 the coloring of the package, as well.

10 FDA, are there any particular aspects around  
11 the labeling information that we have not addressed  
12 that would be helpful for you?

13 DR. MICHELE: No, nothing further. We  
14 appreciate all of the great comments from the  
15 panel.

16 DR. COYLE: I'm going to just do a quick  
17 scan down my participant list. If there are any  
18 advisory committee members who have not yet had an  
19 opportunity to speak or to share perspective --  
20 there might be one or two here -- I'd just invite  
21 you to do so now, and I'll start with Dr. Roth.

22 DR. ROTH: Thank you. Katalin Roth from GW

1 University. I'd like to say that in the hospital  
2 setting when we use naloxone or Narcan in the event  
3 of people losing consciousness, we give it  
4 repeatedly without any problems. My experience in  
5 palliative care also is that with parts of symptoms  
6 of withdrawal, Narcan is effective. There have  
7 been no adverse effects.

8 I teach medical ethics as well, and I agree  
9 with what was stated earlier, that it would be  
10 potentially traumatizing and probably not allowed  
11 by an IRB to have adolescents weigh in on the  
12 directions. I think the labeling is good enough.  
13 As somebody over 60, I think that we should try and  
14 avoid very tiny type, and I agree with the comments  
15 about color and making the graphics as clear as  
16 possible. But I think the studies are adequate to  
17 support this product being over the counter. Thank  
18 you very much.

19 DR. COYLE: Thank you, Dr. Roth.

20 I'll call on Dr. Walker-Harding.

21 DR. WALKER-HARDING: I just have an overall  
22 comment. Probably the most concerning thing I

1 heard was that people were concerned about the IRB  
2 and concerned about testing this and children.  
3 That was very concerning because what is  
4 traumatizing to a kid is watching their loved one  
5 be unconscious, dying, and not being able to do  
6 anything about it.

7 I spent a whole career working with kids,  
8 teaching them CPR, and teaching them all kinds of  
9 rescue things that they do much younger than the  
10 ages you're even talking about. I think we have to  
11 stop thinking that we are paternalistic and  
12 protecting children. The children that are going  
13 to be in a situation like this are living with a  
14 lot more trauma, and when you want to do these  
15 studies, you study the population that is  
16 experiencing this that could help with that.

17 But I do just want to register that we  
18 should be looking at kids as young, at least, as  
19 10, even younger, that have to be in this  
20 situation, and to not have specific studies to  
21 really hone in on how to make instructions readable  
22 to them is a failing of us in trying to develop

1 things.

2 I do not think we need to wait with this  
3 because every day we wait, there are more people  
4 that are going to die. I don't think we need to  
5 re-look at that, but in the future, I do think we  
6 have to get beyond this thing that we're thinking  
7 we're protecting children. Children can protect us  
8 and can protect themselves, and are humans all on  
9 their own.

10 DR. COYLE: Thank you, and thank you again  
11 for adding that perspective of the younger patient  
12 or the younger participant.

13 Dr. Brent, go ahead.

14 DR. BRENT: Thank you, Dr. Coyle. This is  
15 Jeffrey Brent. I just want to point out something  
16 that I think might be an error, but it's  
17 potentially very significant.

18 If one looks at page 70 of 97 of the  
19 Emergent briefing material, they have a Quick Start  
20 Guide there, and there is an instruction in there  
21 which doesn't appear on all the other instructions,  
22 and that is that the first thing you do after you

1 shake the patient and ask if the person will  
2 respond, is you do things like you check their  
3 pupils to look for pinpoint pupils before thinking  
4 about administering naloxone.

5 That is a very bad instruction. We don't  
6 want people trying to figure out how to assess  
7 somebody's pupil size before giving the naloxone.  
8 That instruction does not appear in other labels  
9 that are in the briefing documents. It is in the  
10 Quick Start Guide that is given in the Emergent  
11 document, and I suspect it might be an older  
12 version or an error, but definitely should not be  
13 there.

14 DR. COYLE: Thank you, Dr. Brent. We'll  
15 make sure that is included in the feedback that the  
16 FDA is taking down from the panel.

17 Alright. I'm looking to see if there's any  
18 final questions before we take a short break.

19 (No response.)

20 DR. COYLE: Seeing none, again, to  
21 summarize, we've discussed a variety of issues  
22 related to the labeling and to the studies that

1 informed that labeling. I think we've provided  
2 some strong recommendations to FDA to keep in mind,  
3 as well as some strong recommendations to the  
4 industry sponsor to perhaps keep in mind regarding  
5 the product, and we'll come back in about  
6 15 minutes, after a 15-minute break, to consider  
7 our last question, which is the voting question.

8 Panel members, please remember that there  
9 should be no chatting or discussion of the meeting  
10 topics with other panel members during this break.  
11 We're going to reconvene at -- I have to do my  
12 mental math, sorry -- 3:53 p.m., 3:53 p.m., Eastern  
13 time. Thank you.

14 (Whereupon, at 3:38 p.m., a recess was  
15 taken.)

16 DR. COYLE: Thank you to all, and welcome  
17 back. We are now going to move on to the next  
18 question, which is the voting question. Dr. Moon  
19 Hee Choi will provide the instructions for the  
20 voting.

21 DR. CHOI: Question 4 is a voting question.  
22 If you are a non-voting participant, you will be



1 moved to a breakout room. Voting members will use  
2 the Zoom platform to submit their vote for this  
3 meeting. After the chairperson has read the voting  
4 question into the record, and all questions and  
5 discussion regarding the wording of the vote  
6 question are complete, the chairperson will  
7 announce that voting will begin.

8 A voting display will appear where you can  
9 submit your vote. There will be no discussion  
10 during the voting session. You should select the  
11 radio button that is the round circular button in  
12 the window that corresponds to your vote, yes, no,  
13 or abstain. Please note that once you click the  
14 "submit" button, you will not be able to change  
15 your vote. Again, please note that once you click  
16 the "submit" button, you will not be able to change  
17 your vote.

18 Once all voting members have selected their  
19 vote, I will announce that the vote is closed.  
20 Please note there will be a momentary pause as we  
21 tally the vote results and return non-voting  
22 members into the meeting room.

1           Next, the vote results will be displayed on  
2 the screen. I will read the vote results from the  
3 screen into the record. Thereafter, the  
4 chairperson will go down the list, and each voting  
5 member will state their name and their vote into  
6 the record. You can also state the reason why you  
7 voted as you did, if you want to; however, you  
8 should also address any subparts of the voting  
9 question.

10           Are there any questions about the voting  
11 process before we begin?

12           (No response.)

13           DR. CHOI: Okay. Thank you.

14           DR. COYLE: Thank you.

15           I will begin with reading the voting  
16 question, and once again, we will pause for any  
17 clarification or issues with the wording of the  
18 question.

19           Question 4, our voting question, is the  
20 benefit-risk profile of naloxone nasal spray  
21 supportive of its use as a nonprescription opioid  
22 overdose reversal agent? If you vote, no, what

1 further data should be obtained?

2 Any questions or confusion around the  
3 question itself?

4 (No response.)

5 DR. COYLE: If there are no questions or  
6 comments concerning the wording of the question, we  
7 will now begin the voting on question 4.

8 DR. CHOI: We will now move non-voting  
9 participants to the breakout room.

10 (Voting.)

11 DR. CHOI: The voting has closed and is now  
12 complete. After I read the voting results into the  
13 record, the chairperson will go down the list, and  
14 each voting member will state their name and their  
15 vote into the record. You can also state the  
16 reason why you voted as you did, if you want to;  
17 however, you should also address any subparts of  
18 the voting question, if any.

19 For the record we have 19 yeses, zero noes,  
20 and zero abstentions.

21 DR. COYLE: Thank you.

22 We will now go down the list and have

1 everyone who voted state their name and vote into  
2 the record. You may also provide justification of  
3 your vote, if you wish to.

4 Dr. Choi, can you just confirm that line 9  
5 on the screen is the top of our list?

6 MR. BONNER: This is Derek Bonner with AV  
7 support. Line 9 is the top of the list.

8 DR. COYLE: Thank you very much.

9 We'll start with Dr. Shoben. Please state  
10 your name and your vote into the record, along with  
11 your reasoning.

12 DR. SHO BEN: Alright. I'm Abby Shoben. I  
13 voted yes. Everything that was covered today  
14 influenced by vote on this in terms of there's a  
15 really substantial benefit to making this  
16 nonprescription, making naloxone available  
17 nonprescription with minimal risks. There just  
18 didn't seem like a very substantial risk at all, so  
19 I interpreted the question that way without respect  
20 to any of the labeling discussions that we've had.

21 DR. COYLE: Thank you.

22 Dr. Bateman?

1 DR. BATEMAN: Brian Bateman, and I voted  
2 yes. I think this is a very important step from a  
3 public health perspective. The key component of  
4 our addressing the ongoing opioid crisis will be  
5 broadening access to this medication and decreasing  
6 the stigma associated with the purchase of  
7 naloxone. We know from long experience that this  
8 is a safe and effective medication, so there's very  
9 little reason not to move it to an over-the-counter  
10 status.

11 Certainly there's room for iterative work on  
12 improving the label, and additional human factors  
13 studies might be conducted in the future, but as  
14 one of the panel members said, "Perfect shouldn't  
15 be the enemy of the good," and I think the evidence  
16 we saw today provides clear indications that the  
17 drug can be used without the direction of the  
18 healthcare provider.

19 DR. COYLE: Thank you.

20 Dr. Ginsburg?

21 DR. GINSBURG: Diane Ginsburg. I voted yes,  
22 similar to comments that have been stated. All of

1 the evidence in the data that has been presented  
2 today is supportive of approving for this drug to  
3 go over the counter. I think about collectively  
4 the work, not only our working today, but at the  
5 FDA and all others who have been involved in  
6 addressing this crisis. And this vote today,  
7 hopefully we can leave today and know that we are  
8 saving lives, so thank you.

9 DR. COYLE: Ms. Coykendall?

10 MS. COYKENDALL: Elizabeth Coykendall. I  
11 voted yes. There's no reason to keep this as a  
12 prescription. Let's get it out there and save some  
13 lives. Thank you.

14 DR. COYLE: Dr. Brent?

15 DR. BRENT: Brent here. I voted yes. I  
16 made earlier remarks about the safety of naloxone,  
17 so I'll just reference those without repeating  
18 them. And let me just say that I think the  
19 unanimity of the committee is a very profound  
20 statement about how important this is.

21 DR. COYLE: Thank you.

22 Dr. Higgins?

1 DR. HIGGINS: Jennifer Higgins. I voted  
2 yes. The risk of opioid overdose to me is far too  
3 great to prevent the product from coming to the OTC  
4 market, and I'm unconvinced that the labeling  
5 problem presented today will have deleterious  
6 effects to product users.

7 I would suggest, though, that additional  
8 labeling research be conducted, and I know that  
9 that's not a requirement necessarily, but that  
10 could even happen after the nonprescription label  
11 application is approved.

12 DR. COYLE: Dr. Ballou?

13 DR. BALLOU: Yes. Jordan Ballou. I voted  
14 yes. My vote is a yes for persons with opioid-use  
15 disorder; and it is a yes for persons with chronic  
16 pain who need this product; and it is a yes for  
17 people who love those people, and their ability to  
18 care for them should the need ever arise. So I  
19 voted yes.

20 DR. COYLE: Thank you.

21 Dr. Roth?

22 DR. ROTH: My name is Katalin Roth, and I

1 voted yes because of the compelling public health  
2 need, the overwhelming evidence that the drug is  
3 safe and has no important side effects, and the  
4 high benefit ratio. So for the sake of the public  
5 and saving lives, I believe this medication should  
6 be available over the counter to the public as soon  
7 as possible. Thank you.

8 DR. COYLE: Dr. Walker-Harding?

9 DR. WALKER-HARDING: Hi. Leslie  
10 Walker-Harding, and I voted yes. The overwhelming  
11 benefit way outweighs the minimal risk for  
12 children, adolescents, and adults.

13 DR. COYLE: Dr. Coyle. This is Maria Coyle.  
14 I voted yes. I want to just comment, as another  
15 has stated, on the overwhelming positive support  
16 for this, which is not something I've encountered  
17 before in my work on these advisory committees. It  
18 just really underscores the importance of moving  
19 this drug to greater access and also highlights the  
20 terrible risk of not acting in terms of making the  
21 drug more accessible.

22 I also just want to acknowledge there are



1 potential unintended consequences that were outside  
2 the scope of this meeting related to cost and  
3 potentially education around the use of naloxone  
4 that I hope all relevant parties will be attentive  
5 to.

6 Dr. Bicket, can you share your vote, please?

7 DR. BICKET: Good afternoon. My name is  
8 Mark Bicket at the University of Michigan and the  
9 Opioid Prescribing Engagement Network. I voted  
10 yes. I appreciate the presentations today by both  
11 the sponsor and the FDA and answering our  
12 questions. I was very impressed in hearing the  
13 voices of patients, clinicians, and others in  
14 support of over-the-counter naloxone.

15 We know that the crisis continues to grow,  
16 and we had a pretty unique consideration today,  
17 given the over-the-counter consideration for what  
18 would otherwise be a failed condition and its use  
19 by laypersons who aren't the recipient of the  
20 things that we've discussed.

21 I did find the safety profile to be very  
22 compelling, given the expansion of community and

1       harm reduction programs with very low documentation  
2       forms, I think, for users, and the steps that have  
3       been proposed about, including the packet.  
4       Potentially, the shift to having the one panel to  
5       optimize the recommendation steps would be  
6       welcomed. I do believe that we have a risk of not  
7       approving this product, and that is a major  
8       consideration in my vote today.

9               Then I'd just conclude by saying persons who  
10       are impacted by the crisis do have difficulties in  
11       accessing care and can experience stigma. So I  
12       think the hope is that by approving naloxone nasal  
13       spray, it would be one step to help reverse that  
14       part of the overdose crisis. Thank you.

15               DR. COYLE: Dr. McCann?

16               DR. McCANN: Hi. I voted yes also. I think  
17       there's a clear benefit and very little risk, so  
18       that's why I voted yes.

19               DR. COYLE: Dr. McAuliffe?

20               DR. McAULIFFE: Well, with the 100,000  
21       deaths per year from opioid overdoses and six years  
22       of data with nasal naloxone, with minimal signals,

1 I think the benefit-to-risk ratio is very positive,  
2 and so I voted yes.

3 DR. COYLE: Dr. Sprintz?

4 DR. SPRINTZ: Hi. I'm Michael Sprintz, and  
5 I voted yes. In addition to being a pain doctor  
6 and an addiction doctor, I've also been in sobriety  
7 from opioid-use disorder for 22 years. The  
8 evidence is compelling that the benefits clearly  
9 outweigh the risks, and the urgency is definitely  
10 paramount right now. I actually had a friend who  
11 lost her 19 year old son about 4 days ago.

12 So I think this is a wonderful thing, what  
13 we did today, and while I agree that there are some  
14 incremental improvements, the bottom line is,  
15 overwhelmingly, the benefit outweighs the risk.  
16 Thank you.

17 DR. COYLE: Dr. Pisarik?

18 DR. PISARIK: Paul Pisarik, and I voted yes.  
19 It's a huge public health benefit, and it's way  
20 overdue. The next step will be to get those people  
21 who have opioid-use disorder into treatments for  
22 their issues.

1 DR. COYLE: Dr. Richmond?

2 DR. RICHMOND: Rebecca Richmond. I voted  
3 yes. Similar to other panel members' discussion  
4 and the information presented today greatly  
5 illustrates the need and the benefits of making  
6 this OTC, so I voted yes. Thank you.

7 DR. COYLE: Dr. Parker?

8 DR. PARKER: Ruth Parker. I voted yes, in  
9 line with [inaudible - audio gap].

10 DR. COYLE: I'm going to move on to  
11 Dr. Clement.

12 DR. CLEMENT: Yes. This is Steve Clement,  
13 INOVA Fairfax Hospital. I'm on the frontline for  
14 other conditions like diabetes, which has lots of  
15 first responders. I'm happy to be part of this  
16 panel and contribute to this discussion. I feel  
17 confident from the presentations of the sponsor  
18 that their heart's in the right spot, and they're  
19 going to be working with the FDA to come up with  
20 the best possible labeling, particularly the quick  
21 guide, so that there's less ambiguity, or as little  
22 ambiguity as possible going forward. Thank you

1 very much.

2 DR. COYLE: Thank you.

3 And last but not least, Dr. Ness?

4 DR. NESS: This is Tim Ness from Birmingham,  
5 Alabama. I voted yes because we need to get it out  
6 there. It is putting a lot of trust in the FDA to  
7 do the right thing, and I think they will. And  
8 since this is an advisory panel, I want to also  
9 encourage the FDA also to develop a REMS-like  
10 program that might also couple with the follow-up  
11 related to these things.

12 When you look at the statistics, 1 percent  
13 of the people who get this in their nose are going  
14 to be dead 30 days later from a repeat; 5 percent,  
15 12 months later. So I think there's a moral  
16 imperative to set up some type of a system to do a  
17 follow-up. I know there's no regulatory thing for  
18 OTC right now, but there wasn't a REMS program  
19 either, and the FDA developed that. So I would  
20 encourage them to do a similar process to help with  
21 a connection to care so it doesn't have to be that  
22 high a mortality.

1 DR. COYLE: Thank you.

2 Thank you to all of the panel members. I'd  
3 just like to summarize again, for the record, that  
4 our vote was unanimous. All voting members are in  
5 favor of the vote to move naloxone nasal spray to  
6 OTC status. I'd just like to comment again on the  
7 appreciation that the panelists have expressed for  
8 both the FDA and the work of others, and this  
9 important public health step. Also, we look  
10 forward to additional measures to address the  
11 opioid crisis in our country.

12 Before we adjourn, are there any last  
13 comments from FDA?

14 DR. MICHELE: Hi. This is Theresa Michele,  
15 Office of Nonprescription Drugs. On behalf of FDA,  
16 and especially those of us in the nonprescription  
17 drug office, I just wanted to again express  
18 appreciation for the panel. You guys have a really  
19 hard job, and you've done us all proud today. We  
20 really appreciate all of the input. It's certainly  
21 invaluable and will be invaluable in our  
22 decision-making process going forward, so thank you

1 so much.

2 I also wanted to express appreciation for  
3 all of those in the community who stepped forward  
4 to provide comments both on the record today as  
5 part of this committee meeting, as well as those  
6 who submitted comments to the docket. Thank you.

7 DR. COYLE: Thank you, Dr. Michele.

8 It looks like we have some final comments  
9 from Dr. Ginsburg and Dr. Horrow, and then we will  
10 move to adjourn.

11 Go ahead, Dr. Ginsburg. Please state your  
12 name for the record.

13 DR. GINSBURG: Diane Ginsburg, and this is  
14 just more of a process question since this is my  
15 first advisory committee meeting.

16 What happens next? And if that's something  
17 that's better offline, that's fine. I just was  
18 curious as to what are the next steps in this  
19 process in terms of the decision getting out to the  
20 public and all of that. Thank you.

21 DR. COYLE: Dr. Michele, could you please  
22 address that question?

1 DR. MICHELE: Certainly. We have all been  
2 taking copious notes of all of the words of wisdom  
3 that you guys have provided today, and we will take  
4 that back as we finish up the review of this  
5 application. I'm sure they'll be additional  
6 discussions with the sponsor.

7 Traditionally, the information regarding  
8 this application is, of course, private to the  
9 sponsor, so FDA cannot comment further on it. If  
10 there is a drug approval, then we will, of course,  
11 have press surrounding that, and there will be open  
12 public availability of our reviews and so forth.

13 DR. COYLE: Dr. Horrow, I see you also have  
14 your hand raised. Would you like to speak?

15 DR. HORROW: Yes. Thank you. Jay Horrow.  
16 As a non-voting member of the panel, I would like  
17 to -- now that the vote is all in and  
18 tallied -- express that had I been solicited, I  
19 would have voted yes. I'm just curious as to  
20 whether or not there's any interest in other  
21 members of the panel even knowing that.

22 But regardless, I wanted to thank the FDA,



1 and also I want to thank you, Dr. Coyle, in  
2 particular, for the attention that you gave to the  
3 non-voting members and for the opportunity to  
4 contribute to the discussion. Thank you.

5 **Adjournment**

6 DR. COYLE: You're very welcome, and thank  
7 you for sharing that with all of us.

8 We will shortly move to adjourn the meeting,  
9 and I just want to thank all of you for  
10 participating. This has been a very full and  
11 intense day. There was a lot of information to  
12 consider, as well as a lot of uncharted territory  
13 for our respective advisory committees, so I thank  
14 you for your participation and your engagement, and  
15 for helping me out as a new person in this role.

16 So thank you very much, and then I will go  
17 ahead and adjourn the meeting, and send you all on  
18 your way. Thank you very much. Have a great  
19 evening.

20 (Whereupon, at 4:18 p.m., the meeting was  
21 adjourned.)

22