

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

JOINT MEETING OF THE DRUG SAFETY AND
RISK MANAGEMENT (DSaRM) AND THE DERMATOLOGIC AND
OPHTHALMIC DRUGS (DODAC) ADVISORY COMMITTEES

Virtual Meeting

Tuesday, March 28, 2023

10:00 a.m. to 3:44 p.m.

Meeting Roster**DESIGNATED FEDERAL OFFICER (Non-Voting)****Philip Bautista, PharmD, MPH**

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

DSaRM MEMBERS (Voting)**Karim Anton Calis, PharmD, MPH, FASHP, FCCP**

Director of Clinical Research and Compliance
Office of the Clinical Director
Division of Intramural Research
Eunice Kennedy Shriver National Institute of Child
Health and Human Development
National Institutes of Health (NIH)
Bethesda, Maryland

Sascha Dublin, MD, PhD

Senior Scientific Investigator
Kaiser Permanente Washington Health Research
Institute
Seattle, Washington

1 **John B. Hertig, PharmD, MS, CPPS, FASHP**

2 Associate Professor and Chair

3 Department of Pharmacy Practice

4 Butler University College of Pharmacy and Health

5 Sciences

6 Indianapolis, Indiana

7

8 **Collin A. Hovinga, PharmD, MS, FCCP**

9 Vice President

10 Rare and Orphan Diseases Critical Path Institute

11 Clinical Associate Professor of Pharmacy

12 University of Texas at Austin, College of Pharmacy

13 Austin, Texas

14

15

16

17

18

19

20

21

22

1 **Krista F. Huybrechts, MS, PhD**

2 Associate Professor of Medicine and Epidemiology

3 Harvard Medical School and Harvard T.H. Chan

4 School of Public Health

5 Division of Pharmacoepidemiology and

6 Pharmacoeconomics

7 Department of Medicine

8 Brigham & Women's Hospital

9 Boston, Massachusetts

10

11 **Tao Liu, PhD**

12 Associate Professor of Biostatistics

13 Department of Biostatistics

14 Center for Statistical Sciences

15 Brown University School of Public Health

16 Providence, Rhode Island

17

18

19

20

21

22

1 **Vincent Lo Re III, MD, MSCE**

2 *(Chairperson)*

3 Associate Professor of Epidemiology and Medicine

4 Center for Clinical Epidemiology and Biostatistics

5 Center for Pharmacoepidemiology Research and

6 Training, Perelman School of Medicine

7 University of Pennsylvania

8 Philadelphia, Pennsylvania

9

10 **Mara McAdams DeMarco, MS, PhD**

11 Associate Professor

12 Associate Vice Chair for Research

13 Department of Surgery

14 New York University

15 New York, New York

16

17

18

19

20

21

22

1 **Suzanne B. Robotti**

2 *(Consumer Representative)*

3 President

4 MedShadow Foundation

5 Executive Director

6 DES Action USA

7 New York, New York

8

9 **DODAC MEMBERS (Voting)**

10 **Ken Katz, MD, MSc, MCSE**

11 Dermatologist

12 Kaiser Permanente

13 San Francisco, California

14

15 **Brian Green, DO, MS, FAAD**

16 Associate Professor, Dermatology

17 Medical Director, Teledermatology

18 Penn State Health Milton S. Hershey Medical Center

19 Department of Dermatology

20 Hershey, Pennsylvania

21

22

1 **Megha Tollefson, MD**

2 Professor, Dermatology and Pediatric and
3 Adolescent Medicine
4 Consultant, Department of Dermatology
5 Mayo Clinic and Mayo Clinic College of Medicine
6 Rochester, Minnesota

7

8 **Maria A. Woodward MD MSc**

9 Associate Professor, Ophthalmology & Visual
10 Sciences
11 Section Chief and Fellowship Director Cornea,
12 External Disease, & Refractive Surgery
13 University of Michigan
14 Ann Arbor, Michigan

15

16 **DODAC MEMBER (Non-Voting)**

17 **Ercem Atillasoy, MD**

18 *(Industry Representative)*
19 Chief Regulatory and Safety Officer
20 Jazz Pharmaceuticals
21 Philadelphia, PA

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Abbey Berenson MD, PhD**

3 Professor of Ob/Gyn

4 Director, Population and Preventive Health

5 Department of Ob/Gyn

6 University of Texas Medical Branch

7 Galveston, Texas

8

9 **David A. Chambers, DPhil**

10 Deputy Director for Implementation Science

11 Division of Cancer Control and Population Sciences

12 National Cancer Institute, NIH

13 Bethesda, Maryland

14

15 **Edward W. Cowen, MD, MHSc**

16 Chief, Dermatology Consultation Service

17 National Institute of Arthritis and

18 Musculoskeletal and Skin Diseases, NIH

19 Bethesda, Maryland

20

21

22

1 **Kort Delost, RPh**

2 Community Pharmacist

3 Bountiful, Utah

4

5 **Sonia Hernandez-Diaz, MD, DrPH**

6 Professor of Epidemiology

7 Harvard T.H. Chan School of Public Health

8 Boston, Massachusetts

9

10 **Donna Ludwinski, BScE**

11 *(Patient Representative)*

12 Director of Research Advocacy

13 Solving Kids' Cancer

14 New York, New York

15

16 **Sonja A. Rasmussen, MD, MS**

17 Professor

18 Department of Genetic Medicine

19 Johns Hopkins University School of Medicine

20 Baltimore, Maryland

21

22

1 **Brian Salvas, PharmD**

2 Executive Director

3 Pharmacy Operations

4 CVS Pharmacy

5 Woonsocket, Rhode Island

6

7 **Courtney A. Schreiber, MD, MPH**

8 Stuart and Emily B.H. Mudd Professor of Human

9 Behavior and Reproduction

10 Chief, Division of Family Planning

11 Department of Obstetrics and Gynecology

12 Executive Director, FOCUS on Health and

13 Leadership for Women

14 Perelman School of Medicine

15 University of Pennsylvania

16

17 **FDA PARTICIPANTS (Non-Voting)**

18 **Claudia Manzo, PharmD**

19 Director, Office of Medication Error Prevention and

20 Risk Management (OMEPRM)

21 Office of Surveillance and Epidemiology (OSE)

22 CDER, FDA

1 **Cynthia LaCivita, PharmD**

2 Director, Division of Risk Management (DRM)

3 OMEPRM, OSE, CDER, FDA

4

5 **Jacqueline Sheppard, PharmD**

6 Team Leader, DRM

7 OMEPRM, OSE, CDER, FDA

8

9 **Leyla Sahin, MD**

10 Deputy Director for Safety

11 Division of Pediatrics and Maternal Health

12 Office of Rare Diseases, Pediatrics, Urologic, and

13 Reproductive Medicine

14 Office of New Drugs (OND), CDER, FDA

15

16 **Tatiana Oussova, MD, MPH**

17 Deputy Director for Safety, Division of

18 Dermatology and Dentistry

19 Office of Immunology and Inflammation

20 OND, CDER, FDA

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SeVan H. Kolejian, PharmD, MBA, BCPPS

Director, Division of Mitigation Assessment and
Medication Error Surveillance
OMEPRM, OSE, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Vincent Lo Re, III, MD, MSCE	15
5	Introduction of Committee	
6	Philip Bautista, PharmD	15
7	Conflict of Interest Statement	
8	Philip Bautista, PharmD	27
9	FDA Opening Remarks	
10	Cynthia LaCivita, PharmD	31
11	FDA Presentations	
12	Isotretinoin Background & Regulatory	
13	History	
14	Roselyn Epps, MD, FAAP, FAAD	35
15	Overview of the iPLEDGE REMS	
16	James Champ	52
17	IPMG Overview Pregnancy Registry	
18	Sara Ephross, PhD	74
19	Contraception and Pregnancy Testing	
20	Requirements to Prevent Exposure in Pregnancy	
21	Wenjie Sun, MD, FACOG	81
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
IPMG Modifications to iPLEDGE REMS Program	
Gregory Wedin, PharmD	93
Clarifying Questions to Presenters	116
Potential Modifications to iPLEDGE REMS	
Lindsey Crist, PharmD	153
Clarifying Questions to Presenters (con't)	188
Adjournment	265

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. LO RE: Good morning, and welcome. I'd like to first remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Ms. Chanapa Tantibanchachai. Her email is currently displayed.

Good morning. My name is Vin Lo Re. I'll be chairing this meeting. I'll now call day 1 of the March 28-29 Joint Meeting of the Drug Safety and Risk Management Advisory Committee and the Dermatologic and Ophthalmic Drugs Advisory Committee to order. Dr. Phil Bautista is the designated federal officer, and he will begin with introductions.

Phil?

Introduction of Committee

DR. BAUTISTA: Good morning. My name is Phil Batista, and I'm the committee DFO. When I call your name, please state your name and affiliation. For AC members, you may also state

1 your expertise and role at the meeting. We'll
2 first start with the standing members of the DSaRM.

3 Dr. Calis?

4 DR. CALIS: Good morning. I'm Karim Calis.
5 I'm director of Clinical Research and Compliance
6 for the National Institute of Child Health and
7 Human Development at NIH, and I'm also chair of the
8 Institutional Review Board in the NIH Office of
9 Intramural Research.

10 DR. BAUTISTA: Next we have Dr. Dublin.

11 DR. DUBLIN: Good morning. I'm Dr. Sascha
12 Dublin from Kaiser Permanente Washington. I'm a
13 general internal medicine and a
14 pharmacoepidemiologist, and much of my research has
15 looked at the impact of medications on pregnancy
16 outcomes, including birth defects.

17 DR. BAUTISTA: Dr. Hertig?

18 DR. HERTIG: Good morning. John Hertig.
19 I'm a pharmacist by training and an associate
20 professor and chair of Pharmacy Practice at the
21 Butler University College of Pharmacy and Health
22 Sciences, located in Indianapolis.

1 DR. BAUTISTA: Dr. Hovinga?

2 DR. HOVINGA: Hello. I'm Collin Hovinga.
3 I'm the vice president for Rare and Orphan Diseases
4 at Critical Path Institute. I've also a faculty
5 appointment at UT Austin College of Pharmacy as an
6 associate professor. My background is clinical
7 pharmacology and epidemiology, and my training is
8 largely in pediatrics with emphasis in
9 neuroscience, and I come as a trialist and
10 pharmacovigilance person. Thank you.

11 DR. BAUTISTA: Dr. Huybrechts?

12 DR. HUYBRECHTS: Good morning. I'm Krista
13 Huybrechts. I'm an associate professor of medicine
14 at Harvard Medical School and epidemiologist at
15 Brigham and Women's Hospital. I co-direct a
16 Harvard program on perinatal and pediatric
17 pharmcacoepidemiology, and my research focuses on
18 drug safety during pregnancy.

19 DR. BAUTISTA: Dr. Liu?

20 DR. LIU: Hi. This is Tao Liu. I'm an
21 associate professor of biostatistics at Brown
22 University School of Public Health. I'm a

1 statistician by training and also a health data
2 scientist. My expertise is data-driven decision
3 making and causal inference.

4 DR. BAUTISTA: Dr. Lo Re?

5 DR. LO RE: Yes. My name is Vin Lo Re.
6 I'm associate professor of medicine and
7 epidemiology at the University of Pennsylvania, in
8 the Center for Senior Scholars and the Center for
9 Clinical Epidemiology and Biostatistics, and a
10 senior investigator in the Center for
11 Pharmacoepidemiology Research and Training.

12 DR. BAUTISTA: Dr. McAdams DeMarco?

13 DR. McADAMS DeMARCO: Hello. I'm Dr. Mara
14 McAdams DeMarco. I'm an epidemiologist, and I'm
15 now at the NYU Grossman School of Medicine, where
16 I'm an associate professor of surgery and
17 population health. I also serve at the department
18 as the vice chair for research. Thank you.

19 DR. BAUTISTA: Suzanne Robotti?

20 MS. ROBOTTI: Hi. I'm Suzanne Robotti. I
21 am the founder of MedShadow Foundation and
22 executive director of DES Action USA. I'm a DES

1 Daughter, and I'm a consumer representative in
2 pharmacovigilance. Thanks.

3 DR. BAUTISTA: Next we'll be going with the
4 DODAC members, starting with Dr. Katz.

5 DR. KATZ: Good morning. My name is Ken
6 Katz. I'm a dermatologist practicing at Kaiser
7 Permanente in San Francisco, California. Thank
8 you.

9 DR. BAUTISTA: Dr. Green?

10 DR GREEN: Good morning. My name is Brian
11 Green. I am a pediatric dermatologist at Penn
12 State Hershey Medical Center. I'm also the medical
13 director of our teledermatology offering.

14 DR. BAUTISTA: Dr. Tollefson?

15 DR. TOLLEFSON: Good morning. I'm Megha
16 Tollefson. I'm a professor of pediatrics and
17 dermatology, and practice as a pediatric
18 dermatologist at the Mayo Clinic in Rochester,
19 Minnesota.

20 DR. BAUTISTA: Dr. Woodward?

21 DR. WOODWARD: Good morning. My name is
22 Maria Woodward. I'm an ophthalmologist and

1 associate professor at the University of Michigan.
2 I study health services research around
3 decision making and run our telemedicine ehealth
4 programs.

5 DR. BAUTISTA: Dr. Atillasoy?

6 DR. ATILLASOY: Good morning. I'm Ercem
7 Atillasoy. I am the industry representative. I am
8 a dermatologist by training. I'm the chief
9 regulatory and safety officer at Jazz
10 Pharmaceuticals. I'm also a voluntary clinical
11 faculty member at the University of Pennsylvania,
12 Department of Dermatology, and member of the
13 American Academy of Dermatology. Also, I've
14 prescribed Accutane for many years. Areas of
15 expertise include this drug in particular;
16 dermatology, risk management REMS programs; product
17 labeling; and product development. Thank you.

18 DR. BAUTISTA: Next we have the temporary
19 voting members. We'll start first with
20 Dr. Berenson.

21 (No response.)

22 DR. BAUTISTA: Dr. Berenson, are you able to

1 unmute yourself?

2 DR. BERENSON: Yes. Hi. I'm Abby Berenson.
3 I am a pediatric and adolescent gynecologist and a
4 professor of OB/GYN and pediatrics at the
5 University of Texas Medical Branch at Galveston. I
6 am director of the Center for Interdisciplinary
7 Research in Women's Health, and I have conducted a
8 large amount of research on different methods of
9 contraception.

10 DR. BAUTISTA: Dr. Chambers?

11 DR. CHAMBERS: Good morning. I'm David
12 Chambers, deputy director for implementation
13 science within the Division of Cancer Control and
14 Population Sciences at the National Cancer
15 Institute, and focus on efforts to advance
16 knowledge as to how we effectively implement
17 evidence-based interventions in clinical and
18 community practice. Thanks.

19 DR. BAUTISTA: Next, Dr. Cowen?

20 DR. COWEN: Good morning. I'm Ed Cowen.
21 I'm head of the consult service at the National
22 Institute of Arthritis and Musculoskeletal and Skin

1 Diseases. I'm a dermatologist, and I also hold
2 faculty appointments at Georgetown University in
3 the Uniformed Services University of the Health
4 Sciences in Bethesda.

5 DR. BAUTISTA: Dr. Kor Delost?

6 DR. DELOST: Hi. Kort Delost here. I'm a
7 community pharmacist, associate professor retired
8 from the University of Utah, College of Pharmacy.
9 Good to meet you.

10 DR. BAUTISTA: Dr. Hernandez-Diaz?

11 DR. HERNANDEZ-DIAZ: Good morning. This is
12 Sonia Hernandez-Diaz, and I'm a professor of
13 epidemiology at the Harvard Chan School of Public
14 Health in Boston, where I direct the program in
15 pharmacoepidemiology, and my research focuses on
16 the safety of medications during pregnancy, with a
17 special interest in birth defects.

18 DR. BAUTISTA: Donna Ludwinski?

19 MS. LUDWINSKI: Good morning. I'm Donna
20 Ludwinski. I'm a patient representative. I'm the
21 director of research advocacy for Solving Kids'
22 Cancer in New York, and my son was on the drug of

1 interest that we're going to be talking about for
2 the next two days, for six months for a cancer
3 indication. He had neuroblastoma. Thanks.

4 DR. BAUTISTA: Dr. Rasmussen?

5 DR. RASMUSSEN: I'm Dr. Sonja Rasmussen.
6 I'm a pediatrician and clinical geneticist, and I'm
7 professor in the Department of Genetic Medicine at
8 Johns Hopkins. My research is focused on the
9 effects of medications and infections during
10 pregnancy, especially focusing on birth defects.

11 DR. BAUTISTA: Dr. Salvas?

12 DR. SALVAS: Good morning, everybody. Brian
13 Salvas. I'm a pharmacist. I currently serve as
14 executive director of retail operations for CVS
15 Pharmacy, and my accountabilities include
16 dispensing compliance and technology enablement,
17 including REMS dispensing.

18 DR. BAUTISTA: Dr. Schreiber?

19 DR. SCHREIBER: Good morning, everyone.
20 Courtney Schreiber. I'm a professor of obstetrics
21 and gynecology at the Perelman School of Medicine,
22 University of Pennsylvania, and chief of the

1 Division of Family Planning, and I am a clinical
2 and public health scientist in reproductive health.

3 DR. BAUTISTA: Next, we have the FDA
4 participants, starting with Dr. Manzo.

5 DR. MANZO: Good morning. I am Claudia
6 Manzo. I'm the director of the Office of
7 Medication Errors and Prevention in the Office of
8 Surveillance and Epidemiology in CDER.

9 DR. BAUTISTA: Dr. LaCivita?

10 DR. LaCIVITA: Good morning. I'm Cynthia
11 LaCivita. I'm the director of the Division of Risk
12 Management in the Office of Surveillance and
13 Epidemiology in CDER, at FDA.

14 DR. BAUTISTA: Dr. Sheppard?

15 DR. SHEPPARD: Good morning. I am
16 Jacqueline Sheppard. I am the team leader in the
17 Division of Risk Management at the FDA. Thank you.

18 DR. BAUTISTA: Dr. Sahin?

19 DR. SAHIN: Good morning. I'm Leyla Sahin,
20 and I'm a deputy director for safety in the
21 Division of Pediatrics and Maternal Health.

22 DR. BAUTISTA: Dr. Oussova?

1 (No response.)

2 DR. BAUTISTA: Dr. Oussova, are you able to
3 unmute yourself and introduce yourself for the
4 record?

5 (No response.)

6 DR. BAUTISTA: We'll come back to
7 Dr. Oussova when she's back in the meeting.

8 Next we have Dr. Kolejian.

9 DR. KOLEJIAN: Good morning. My name is
10 SeVan Kolejian, and I'm the director of the
11 Division of Medication Assessments and Medication
12 Errors Surveillance at the Office of Surveillance
13 and Epidemiology, at CDER, FDA. Thank you.

14 DR. BAUTISTA: We'll go back to Dr. Oussova.

15 Dr. Oussova, if you're present, are you able
16 to unmute yourself and introduce yourself for the
17 record?

18 (No response.)

19 DR. BAUTISTA: Okay. We'll go ahead and
20 move along with the meeting. With that, I'll hand
21 it back to Dr. Lo Re.

22 DR. LO RE: Thank you, Phil.

1 For topics such as those being discussed
2 today at this meeting, there are often a variety of
3 opinions, some of which are quite strongly held.
4 Our goal is that this meeting will be a fair and
5 open forum for discussion of these issues, and that
6 individuals can express their views without
7 interruption. Therefore, as a gentle reminder,
8 individuals will be allowed to speak into the
9 record only if recognized by the chair. We look
10 forward to a productive meeting.

11 In the spirit of the Federal Advisory
12 Committee Act and the Government in the Sunshine
13 Act, we ask that the advisory committee members
14 please take care that their conversations about
15 this topic take place in the open forum of the
16 meeting. We are aware that members of the media
17 are anxious to speak with the FDA about these
18 proceedings, however, the FDA will refrain from
19 discussing the details of this meeting with the
20 media until its conclusion. Also, the committee is
21 reminded to please refrain from discussing the
22 meeting topic during breaks. Thank you.

1 Dr. Phil Bautista will read the Conflict of
2 Interest Statement for the meeting.

3 Phil?

4 **Conflict of Interest Statement**

5 DR. BAUTISTA: Thank you.

6 The FDA is convening today's joint meeting
7 of the Drug Safety and Risk Management AC and the
8 Dermatologic and Ophthalmic Drugs AC under
9 authority of FACA of 1972. With the exception of
10 the industry representative, all members and
11 temporary voting members of the committees are
12 special government employees, SGEs, or regular
13 federal employees from other agencies and are
14 subject to federal conflict of interest laws and
15 regulations.

16 The following information on the status of
17 the committees' compliance with federal ethics and
18 conflict of interest laws, covered by but not
19 limited to those found at 18 U.S.C., Section 208,
20 is being provided to participants in today's
21 meeting and to the public. FDA has determined that
22 members and temporary voting members of the

1 committees are in compliance with federal ethics
2 and conflict of interest laws.

3 Under 18 U.S.C., Section 208, Congress has
4 authorized FDA to grant waivers to SGEs and regular
5 federal employees who have potential financial
6 conflicts when it is determined that the agency's
7 need for an SGE's services outweighs his or her
8 potential financial conflict of interest, or when
9 the interest of a regular federal employee is not
10 so substantial as to be deemed likely to affect the
11 integrity of the services which the government may
12 expect from the employee.

13 Related to the discussions of today's
14 meeting, members and temporary voting members of
15 the committees have been screened for potential
16 financial conflicts of interest of their own, as
17 well as those imputed to them, including those of
18 their spouses, minor children and, for purposes of
19 18 U.S.C., their employers. These interests may
20 include investments; consulting; expert witness
21 testimony; contracts, grants, CRADAs; teaching,
22 speaking, writing; patents, royalties, and primary

1 employment.

2 Today's agenda involves discussion of
3 proposed changes to the iPLEDGE Risk Evaluation and
4 Mitigation Strategy requirements to minimize burden
5 on patients, pharmacies, and prescribers, while
6 maintaining the safe use of isotretinoin oral
7 capsules for patients.

8 This is a particular matters meeting during
9 which specific matters related to the iPLEDGE REMS
10 of isotretinoin will be discussed. Based on the
11 agenda for today's meeting and all financial
12 interests reported by the committee members and
13 temporary voting members, no conflict of interest
14 waivers have been issued in connection with this
15 meeting. To ensure transparency, we encourage all
16 standing committee members and temporary voting
17 members to disclose any public statements that they
18 may have made concerning the iPLEDGE REMS program.

19 With respect to FDA's invited industry
20 representative, we would like to disclose that
21 Dr. Ercem Atillasoy is participating in this
22 meeting as a non-voting industry representative,

1 acting on behalf of regulated industry.
2 Dr. Atillasoy's role at this meeting is to
3 represent industry in general and not any
4 particular company. Dr. Atillasoy is employed by
5 Jazz Pharmaceuticals.

6 We'd like to remind members and temporary
7 voting members that if the discussions involve any
8 other products or firms not already on the agenda
9 for which an FDA participant has a personal or
10 imputed financial interest, the participants need
11 to exclude themselves from such involvement, and
12 their exclusion will be noted for the record. FDA
13 encourages all other participants to advise the
14 committees of any financial relationships that they
15 may have had with affected entities. Thank you
16 very much.

17 DR. LO RE: Both the FDA and the public
18 believe in a transparent process for information
19 gathering and decision making. To ensure such
20 transparency at the advisory committee meeting, FDA
21 believes that it's important to understand the
22 context of an individual's presentation.

1 For this reason, FDA encourages all
2 participants, including IPMG's non-employee
3 presenters, to advise the committee of any
4 financial relationships that they may have with the
5 IPMG, such as consulting fees, travel expenses,
6 honoraria, and interests in the IPMG, including
7 equity interests and those based on the outcome of
8 the meeting.

9 Likewise, FDA encourages you, at the
10 beginning of your presentation, to advise the
11 committee if you do not have any such financial
12 relationships. If you choose not to address this
13 issue of financial relationships at the beginning
14 of your presentation, it will not preclude you from
15 speaking.

16 We will now proceed with FDA introductory
17 remarks from Dr. Cynthia LaCivita.

18 Dr. LaCivita?

19 **FDA Opening Remarks - Cynthia LaCivita**

20 DR. LaCIVITA: Thank you.

21 Good morning, and welcome. I'm Cynthia
22 LaCivita, and I'm the director of the Division of

1 Risk Management in the Office of Surveillance and
2 Epidemiology in CDER, at FDA. Today and tomorrow,
3 we'll be taking a close look at the risk evaluation
4 and mitigation strategy, or REMS, for isotretinoin,
5 also known as iPLEDGE REMS. Isotretinoin is
6 approved for the treatment of severe recalcitrant
7 nodular acne in patients 12 years of age and older.
8 It carries the risk of embryo-fetal toxicity. The
9 focus of the meeting will be discussing the
10 requirements in the iPLEDGE REMS, which are
11 designed to mitigate the risk of embryo-fetal
12 toxicity and prevent fetal exposure to
13 isotretinoin.

14 iPLEDGE is the largest REMS program that
15 links prescribing and the safe-use conditions to
16 the dispensing of the drug. Based on the FDA's
17 drug-use data analysis, the total estimated number
18 of isotretinoin prescriptions dispensed has
19 increased from an estimated 1 million in 2013 to
20 2 million prescriptions dispensed in 2022.

21 The objectives of this meeting is not to
22 discuss whether the iPLEDGE REMS continues to be

1 necessary or if the REMS is meeting its goals. As
2 noted in the FDA briefing document, the agency has
3 determined that the REMS is necessary to assure the
4 benefits outweigh the risks of isotretinoin and the
5 iPLEDGE REMS is functioning as intended, and it has
6 been meeting goals.

7 This meeting will focus on opportunities to
8 minimize burdens while maintaining a comparable
9 level of safe use. We acknowledge that a REMS that
10 requires special actions by stakeholders inherently
11 impose some burden on the healthcare system. We
12 typically think of burden caused by the REMS as
13 additional efforts or resources needed to complete
14 REMS requirements, such as completing training,
15 documenting that a laboratory test has been
16 completed, or other actions that have been
17 performed, particularly as these actions require
18 the prescriber or pharmacist to step outside their
19 normal workflow.

20 We do not consider activities that are
21 typically part of clinical care, such as ordering
22 or reviewing test results, as burden associated

1 with the REMS. We understand that REMS
2 requirements can create unintended barriers to
3 patients' access to the drug, and when possible, we
4 strive to strike a balance between the requirements
5 that ensure safe use and burden to stakeholders and
6 patients. We will not be discussing other serious
7 risks associated with isotretinoin at this meeting,
8 as we don't have those subject matter experts
9 present.

10 I also wanted to mention that on Friday,
11 March 24th, the FDA approved a REMS modification
12 for the iPLEDGE program that includes technical
13 updates to the system that may aid in improving
14 usability of the REMS platform and the
15 implementation of the REMS for stakeholders. These
16 changes include troubleshooting tips on how to
17 obtain forgotten usernames; the ability to easily
18 print informed consents; the reintroduction of a
19 patient calendar for patients who can become
20 pregnant; Spanish translations of several patient
21 material; and also the ability for designees to
22 start and save patient enrollments, as well as the

1 access to pending patient enrollments on behalf of
2 prescribers.

3 Today and tomorrow, we're seeking the advice
4 from the advisory committees on possible additional
5 changes to the iPLEDGE REMS requirement to minimize
6 burden on patients, pharmacies, and prescribers,
7 while maintaining the safe use of isotretinoin oral
8 capsules for patients. I'd like to thank the
9 members of the committees for their time today and
10 tomorrow. We understand that your time is valuable
11 and appreciate your participation in this joint
12 advisory committee meeting.

13 Dr. Roselyn Epps from the FDA is the first
14 presenter, so, Dr. Epps, I will turn it over to
15 you.

16 DR. LO RE: Great. We'll now proceed with
17 the presentation from Dr. Roselyn Epps.

18 **FDA Presentation - Roselyn Epps**

19 DR. EPPS: Thank you.

20 Good morning. I'm Roselyn E. Epps, MD,
21 clinical reviewer in the Division of Dermatology
22 and Dentistry, Office of Immunology and

1 Inflammation, in the Office of New Drugs, Center
2 for Drug Evaluation and Research. I am presenting
3 the background for the drug isotretinoin, which
4 includes an overview of risk management, the
5 regulatory history, and modifications to the
6 programs.

7 Isotretinoin is also known as 13-cis
8 retinoic acid. It is a first-generation retinoid
9 which is chemically related to vitamin A.
10 Accutane, the registered brand name, was the
11 innovator drug approved in May 1982. The
12 indication for isotretinoin is for severe,
13 recalcitrant nodular acne in patients 12 years and
14 older. For treatment, the drug is dosed by patient
15 weight. For the treatment duration, it's usually
16 16 to 20 weeks, typically. This is an example of a
17 patient with severe acne cysts and nodules of the
18 cheeks, lower forehead, and nose areas, pictured
19 before isotretinoin treatment. After treatment
20 with isotretinoin, the nodules and cysts have
21 resolved with minimal scarring.

22 In 2009, Roche withdrew Accutane from the

1 market, with Federal Register determination that
2 the product was not discontinued or withdrawn for
3 reasons of safety or effectiveness. Currently,
4 there are multiple isotretinoin products available.
5 Absorica and Absorica LD are marketed under new
6 drug applications or NDAs. Generic isotretinoin
7 drugs have been available since 2002 and are
8 marketed under abbreviated new drug applications,
9 or ANDAs, including Amnesteem, Claravis, Myorisan,
10 Zenatane, and 5 isotretinoin products.

11 Isotretinoin remains the only FDA-approved
12 drug product available for the nodular acne
13 indication. Isotretinoin is highly efficacious,
14 and many patients require only one course of
15 therapy. Isotretinoin is a known teratogen, which
16 causes isotretinoin embryopathy, which we will
17 discuss now.

18 In 1982, isotretinoin was assigned Pregnancy
19 Category X, meaning that studies in pregnant women
20 have demonstrated a risk to the fetus and/or human
21 or animal studies have shown fetal abnormalities.
22 There is no benefit of isotretinoin use in

1 pregnancy. The first report of an infant exposed
2 to isotretinoin with malformation was reported in
3 1983. The malformations seen can be internal and
4 external. Head and face malformations include
5 macrocephaly or microcephaly; small chin; low set,
6 small, deformed, or absent ears; small eyes; and a
7 cleft palate. Internally, the malformations
8 typically involve the brain, including
9 hydrocephalus; the heart, including conotruncal and
10 aortic arch abnormalities; and malformation or
11 absence of the thymus gland.

12 Due to risks to the fetus and the pregnancy,
13 a boxed warning was added to Accutane labeling in
14 1984. Labeling recommended use of a contraceptive
15 method at least one month prior to beginning
16 treatment and conducting pregnancy testing prior to
17 beginning therapy. Also, labeling recommended that
18 a patient who became pregnant must discontinue
19 treatment immediately.

20 Additional reports of embryo-fetal toxicity
21 were published. In 1984, the Centers for Disease
22 Control, or CDC, identified isotretinoin as a cause

1 of spontaneous abortion and a specific pattern of
2 defects involving the brain, head and face, and
3 heart. An article by Stern in 1984 stated that of
4 pregnancies reported to the American Academy of
5 Dermatology Adverse Drug Reaction Reporting System
6 and the FDA, 83 percent of the pregnancies resulted
7 in spontaneous abortion or birth anomalies.

8 In 1985, an article by Lammer, et al., in
9 the New England Journal of Medicine reported
10 outcomes of 154 isotretinoin exposed pregnancies
11 collected from the manufacturer, Hoffman La Roche,
12 the FDA, and the CDC, between September of 1982 and
13 July of 1984. Isotretinoin exposure ranged from
14 7 to 124 days.

15 Overall, 13 percent of the infants had major
16 malformations; 8 percent of the pregnancies ended
17 in spontaneous abortion. Elective first trimester
18 abortions occurred in 62 percent of exposed
19 pregnancies, and the outcome was otherwise unknown.
20 For a subset of 36 patients followed prospectively,
21 there were malformations in 14 percent of infants
22 at 22 first-trimester spontaneous abortions. The

1 majority of infants did not show major
2 malformations.

3 The authors concluded that the congenital
4 malformations signal isotretinoin or retinoic acid
5 embryopathy, involving the cranium and face, brain,
6 heart, and thymus. There was a high relative risk
7 of 25.6 for selected major malformations for births
8 exposed to isotretinoin when the comparator group
9 was infants born live and stillborn in metropolitan
10 Atlanta in 1982. Therefore, soon after approval,
11 pregnancy exposure to isotretinoin was identified
12 as a cause of severe, life-threatening, human birth
13 defects, which may occur after taking any amount,
14 even for short periods of time. In 1985, there was
15 no consistent means to determine prenatally and
16 accurately whether or not a fetus had been
17 affected.

18 The agency has organized 11 advisory
19 committee meetings regarding isotretinoin, each of
20 which included expert committee member discussion,
21 open public comment, and recommendations. This
22 presentation will highlight the pertinent advisory

1 committee meetings and modifications.

2 Beginning in 1988, the FDA convened an
3 advisory committee meeting to discuss ways to
4 mitigate pregnancy risk. Afterwards, the
5 manufacturer instituted the Accutane Pregnancy
6 Prevention Program or APPP. The APPP was a new
7 education-based program with reminder tools for
8 stakeholders, and included messaging; promoting
9 negative pregnancy testing before beginning
10 therapy; monthly pregnancy testing; and limiting
11 prescriptions to a 30-day supply. Stakeholders
12 were not required to comply or participate.

13 In 2000, the Dermatology and Ophthalmology
14 Advisory Committee, or DODAC, recommended linking a
15 negative pregnancy test to dispensing isotretinoin,
16 mandatory registration of patients and prescribers,
17 and initiating voluntary pregnancy registry. In
18 October 2001, the system to manage Accutane-related
19 teratogenicity, SMART program, was initiated. This
20 was a sticker-based program with individualized
21 stickers applied to each prescription. The sticker
22 intended to verify: conducting two negative

1 pregnancy tests before beginning therapy; use of
2 two forms of contraception to prevent pregnancy;
3 and a signed consent form. A medication guide was
4 developed, and a prescription compliance survey was
5 implemented to assess the program.

6 When generic isotretinoin drugs were
7 approved and marketed in 2002 and 2003, each
8 manufacturer started their own safety programs.
9 Coordination between programs was difficult, and
10 there was no centralization. In 2004, the Drug
11 Safety and Risk Management, or DSaRM, Committee and
12 DODAC held a joint advisory committee meeting. The
13 committee recommended registering pharmacies, as
14 well as patients and prescribers. Isotretinoin
15 dispensing should be linked more closely to the
16 negative pregnancy tests. The pregnancy registry
17 should also include root cause analysis, and all
18 manufacturers should participate in a single-risk
19 minimization action plan known as a RiskMAP.

20 In 2005, the iPLEDGE program was developed
21 as a single consolidated risk management program.
22 All isotretinoin product manufacturers participate

1 with and operate the program. The risk evaluation
2 and mitigation strategy goals of the iPLEDGE
3 program are to prevent fetal exposure to
4 isotretinoin and to inform prescribers,
5 pharmacists, and patients about isotretinoin's
6 serious risks and safe-use conditions. This was
7 the first performance-linked access system for
8 isotretinoin so that only registered and qualified
9 patients receive the drug.

10 The iPLEDGE program risk evaluation and
11 mitigation strategy, or REMS, included certain
12 elements to assure safe use. The elements included
13 certification of prescribers and pharmacies.
14 Enrolled patients were qualified, requiring
15 documentation of monthly counseling for all
16 patients. For those identified as females of
17 childbearing potential, the terminology at the
18 time, monthly documentation was required for
19 pregnancy testing, patient comprehension questions,
20 and the chosen contraceptive methods. Moreover,
21 the voluntary pregnancy registry expanded to
22 include root cause analysis.

1 For initially qualified patients who can
2 become pregnant, certain steps were required. The
3 prescriber registered the patient in the iPLEDGE
4 program after counseling, identifying two forms of
5 contraception and obtaining a negative screening
6 pregnancy test. Thirty days later, a confirmatory
7 negative pregnancy test and counseling were
8 documented in the system, then the patient was
9 allowed 7 days to pick up their prescription. If
10 the prescription was not filled, the patient was
11 required to wait 23 days and repeat and confirm the
12 negative pregnancy test before filling the first
13 prescription in a registered pharmacy.

14 For patients who cannot become pregnant,
15 formerly categorized as female of non-childbearing
16 potential, or male, the prescriber registered the
17 patient in iPLEDGE after counseling the patient
18 regarding teratogenic risk and safety. Then the
19 qualified patient filled the isotretinoin
20 prescription in a registered pharmacy. All
21 patients needed to pick up the prescription within
22 7 days. If not, the patient entered into a 23-day

1 lockout period.

2 The iPLEDGE program was initially approved
3 in 2005, and the transition was complete by March
4 2006. The transition was difficult. Stakeholder
5 registration and activation were slow, resulting in
6 call center overload. Many prescriptions were
7 denied, resulting in treatment delays for patients.
8 As a result, also in 2006, the program removed the
9 23-day lockout for patients who cannot become
10 pregnant. No labeling change was required. This
11 modification increased flexibility for this cohort
12 and reduced the treatment interruptions for these
13 patients and the burden for prescribers and
14 pharmacies.

15 In 2007, a joint DSaRM and DODAC advisory
16 committee meeting discussed enrollment
17 recommendations and pregnancy data. After the 2007
18 advisory committee meeting, additional
19 modifications occurred. The term "childbearing"
20 was changed so that the platform used females of
21 reproductive potential and females not of
22 reproductive potential.

1 For patients of reproductive potential, the
2 23-day lockout, after missing the 7-day window, was
3 eliminated for isotretinoin refills. If the
4 initial 7-day prescription window is missed, the
5 interval to repeat the pregnancy test should be at
6 least 19 days since the confirmatory negative
7 pregnancy test, but it was formerly at least
8 23 days. Also, the 7-day prescription window was
9 linked to the pregnancy test date rather than the
10 date of the office visit, and for those who cannot
11 become pregnant, the time interval to pick up
12 prescriptions was extended from 7 days to 30 days
13 without a lockout period.

14 In 2008, the sponsors developed a
15 non-compliance policy for manufacturers,
16 distributors, pharmacies, and prescribers, but not
17 for patients. Examples of non-compliance with
18 program requirements include an unregistered
19 stakeholder prescribing, dispensing, or
20 distributing isotretinoin; not confirming
21 counseling; reporting a negative pregnancy test
22 when no test was conducted; or inaccurately

1 identifying patient pregnancy risk.

2 In 2009, the modifications included more
3 definition of pregnancy potential. Urine pregnancy
4 test for hCG could be qualitative or quantitative
5 with a sensitivity of 25 milli-international units
6 per milliliter. The confirmatory pregnancy test
7 should be obtained at menses onset in a
8 CLIA-certified lab. Abstinence as a contraception
9 method within iPLEDGE was clarified, and
10 functionally, the system allowed certain changes
11 without call center interaction.

12 The purpose of the 2011 advisory committee
13 meeting was to discuss whether the iPLEDGE program
14 continued to assure the safe use of isotretinoin;
15 there was undue burden on patients who require
16 access to isotretinoin therapy; and if there were
17 ways, to the extent possible, to minimize the
18 impact of iPLEDGE on isotretinoin access on
19 healthcare delivery.

20 In 2012, the DSaRM and DODAC Joint Advisory
21 Committee meeting evaluated the FDA's framework for
22 strategies to mitigate the teratogenic risk of drug

1 products, in general. The discussion included
2 identifying at-risk populations and the benefits
3 and risks when considering mitigation methods for
4 particular populations and conditions to be
5 treated. Since the last advisory committee
6 meeting, the multidisciplinary team has continued
7 to review the program for clarity, safety, as well
8 as the requirements. Pregnancy and safety data are
9 reviewed, as well as iPLEDGE educational materials.
10 Minor and major modifications have been made to the
11 REMS, and stakeholder input has been received to
12 inform the team.

13 In 2014, the FDA published the Pregnancy and
14 Lactation Labeling Rule, also known as the PLLR.
15 The key change was the removal of pregnancy
16 categories from labeling, including pregnancy
17 Category X from isotretinoin products. The
18 categories were replaced with narrative summaries
19 of risk. Consequently, when Absorica LD was
20 approved in 2019, the combined Absorica and
21 Absorica LD labeling applied the PLLR requirements.
22 This is the boxed warning, with the warning

1 regarding embryo-fetal toxicity.

2 Instead of Pregnancy Category X, the
3 language states that isotretinoin is
4 contraindicated in pregnancy. Any patient who
5 becomes pregnant while taking isotretinoin should
6 stop the drug immediately and consult with an
7 obstetrician/gynecologist experienced in
8 reproductive toxicity for evaluation and
9 counseling.

10 In 2016, Dr. Kenneth Katz wrote a viewpoint
11 piece in JAMA Dermatology describing difficulties
12 for LGBTQ-plus populations. Prescribers and
13 designees were required to assign pregnancy risks
14 for each patient into the system by gender, as well
15 as the ability to become pregnant. This was
16 considered a safety and risk issue by the Agency.
17 Also, if the pregnancy risk for a patient is
18 misidentified, the iPLEDGE program considers
19 misidentification a compliance issue.
20 Subsequently, the FDA initiated a review of the
21 topic and received stakeholder input up to the
22 Center Director level.

1 In 2021, after discussion with the sponsors,
2 a change in the terminology of patient pregnancy
3 risk categories was approved, eliminating gender
4 labels. The category female of reproductive
5 potential became patients who can get pregnant.
6 Female of non-reproductive potential and male
7 categories were combined into the category of
8 patients who cannot get pregnant. During this
9 meeting, the terminology "get pregnant" will be
10 used interchangeably with "become pregnant." This
11 change in categories affects patients and
12 prescribers.

13 After several months of information
14 sessions, correspondence, and platform pop-up
15 messages, in October of 2021, the iPLEDGE program
16 announced to stakeholders that there would be a
17 transition of the iPLEDGE system in December 2021.
18 There would be no change in the safety
19 requirements; however, the important changes were,
20 risk management authorization, or RMA numbers, to
21 fill prescriptions must be obtained through the
22 iPLEDGE program website or interactive voice

1 response system, and the three patient categories
2 would be consolidated into two pregnancy risk
3 categories: patients who can get pregnant and
4 patients who cannot get pregnant.

5 In December 2021, the iPLEDGE program
6 transition was difficult logistically. At launch,
7 online access to the iPLEDGE platform was difficult
8 for all stakeholders, resulting in delays.
9 Multiple meetings were held with the sponsors and
10 the stakeholders for input. Within months,
11 platform operations improved significantly so that
12 patients could access the medication, although
13 agency re-evaluation remains ongoing.

14 In summary, the Agency's multidisciplinary
15 team monitors isotretinoin pregnancy risk
16 mitigation and safety programs. The iPLEDGE
17 program has been in operation for 18 years, with
18 complex interdependent features and requirements
19 and ongoing systematic evaluation and modification.
20 In conclusion, there are benefits to isotretinoin
21 for patients with severe nodular acne who do not
22 respond to other treatments. The risks and

1 consequences of an exposed pregnancy are serious;
2 therefore additional actions have been implemented,
3 and some inconvenience is expected to ensure safe
4 use and to prevent harm.

5 Thank you for your attention. The next FDA
6 speaker is Dr. Wenjie Sun.

7 DR. LO RE: We'll now proceed with
8 presentations from James Shamp and Dr. Sara Ephross
9 from IPMG.

10 **IPMG Presentation - James Shamp**

11 MR. SHAMP: Hello. My name is Jim Shamp.
12 I'm the vice president of Data Intelligence and
13 Program Analytics at UBC. I've over 18 years of
14 experience working on risk management programs,
15 including the iPLEDGE REMS. UBC has been the REMS
16 administrator for iPLEDGE since December 2021. On
17 behalf of the sponsors, I would like to thank the
18 FDA and the committee for the opportunity to
19 present to you today.

20 The iPLEDGE risk evaluation and mitigation
21 strategy, or REMS, is a safety program to minimize
22 the risk of isotretinoin teratogenicity and to

1 minimize fetal exposure. The REMS is required by
2 the U.S. Food and Drug Administration to help
3 ensure the benefits of isotretinoin outweigh its
4 risks. We are here today to discuss proposed
5 changes to the iPLEDGE REMS requirements to
6 minimize burden on patients, pharmacies, and
7 prescribers, while maintaining safe use of
8 isotretinoin oral capsules for patients.

9 In this presentation, I will provide an
10 overview of the iPLEDGE REMS and how it has evolved
11 over time. In addition, I will show you the
12 evidence that supports that iPLEDGE --

13 DR. BAUTISTA: Hi. This is Phil Bautista.
14 I apologize, Dr. Shamp, for stopping the
15 presentation. I just want to make sure we're
16 showing the correct slides up here.

17 (Pause.)

18 DR. BAUTISTA: Thank you so much.

19 MR. SHAMP: Alright, continuing on, in this
20 presentation, I will provide an overview of the
21 iPLEDGE REMS and how it has evolved over time. In
22 addition, I will show you the evidence that

1 supports that the iPLEDGE REMS is currently meeting
2 its goals and has consistently met these goals over
3 the course of 17 years of operation. Following my
4 review of iPLEDGE overall, Dr. Sara Ephross will
5 present an overview of the pregnancy registry.

6 Later today, Dr. Greg Wedin will present the
7 major modifications that have been submitted and
8 now approved by the FDA. These proposed
9 modifications to minimize stakeholder burden have
10 been carefully weighed against the potential to
11 increase the risk of fetal exposure to
12 isotretinoin. In addition, we have a number of
13 experts from the iPLEDGE team here today to help
14 answer your questions. There are seven sponsors
15 who manufacture FDA-approved isotretinoin products.
16 These are the sponsors of the iPLEDGE REMS.

17 Acne is the most common skin condition in
18 the U.S., with approximately 20 percent being a
19 severe type. Nodular acne is a severe, extremely
20 painful inflammatory acne that requires treatment
21 by a dermatologist. Nodular acne often leads to a
22 decreased quality of life, including increased

1 emotional distress, depression, and decreased
2 self-esteem.

3 Isotretinoin is an oral prescription
4 medication used to treat severe recalcitrant
5 nodular acne that is unresponsive to conventional
6 therapy, including systemic antibiotics.

7 Isotretinoin provides complete and prolonged
8 remission of disease activity. Seventy percent of
9 patients achieve a 90 percent reduction in lesion
10 count from baseline by week 20.

11 These data show that isotretinoin is a
12 highly efficacious drug that provides an important
13 treatment option for patients with severe acne, but
14 while highly efficacious, isotretinoin is a human
15 teratogen that can cause life-threatening birth
16 defects; therefore, it must not be used by patients
17 who are or may become pregnant.

18 There is an extremely high risk that severe
19 birth defects will result if pregnancy occurs while
20 taking isotretinoin in any amount, even for short
21 periods of time. These increased risks include
22 miscarriage or premature birth, severe congenital

1 malformations and birth defects, and intellectual
2 and developmental disabilities later in life
3 following isotretinoin exposure during pregnancy.

4 If pregnancy does occur during treatment,
5 isotretinoin must be discontinued immediately, and
6 the patient should be referred to an
7 obstetrician-gynecologist experienced in
8 reproductive toxicity for further evaluation and
9 counseling. Patients are instructed that they must
10 not become pregnant during treatment or for 1 month
11 after discontinuing isotretinoin treatment.

12 The severe birth defects associated with
13 fetal isotretinoin exposure include both external
14 and internal abnormalities, as illustrated in the
15 images on the left. External abnormalities include
16 malformation of the skull, small or absent ears,
17 abnormally small eyes, facial dysmorphia, and cleft
18 palate. Internal abnormalities of the central
19 nervous system, cardiovascular system, and thymus
20 gland and parathyroid hormone deficiencies have
21 also been documented. In some cases, these
22 abnormalities have resulted in infant or childhood

1 death. Today, live births of infants exposed to
2 isotretinoin are extremely rare due to safety
3 initiatives to prevent pregnancy during treatment.

4 The risk minimization activities for
5 isotretinoin have evolved from nearly 40 years.
6 This slide shows a lot of information, but it is
7 important to understand what is presented here
8 because as these activities evolve, you can see the
9 genesis of many of the requirements in today's
10 iPLEDGE.

11 These started in February 1984 when a boxed
12 pregnancy warning was added to the label. From
13 1988 to 1990, the Pregnancy Prevention Program was
14 initiated, and the label was strengthened to
15 contain, among other things, specific information
16 about the birth effects associated with
17 isotretinoin and a recommendation for pregnancy
18 testing, two forms of contraception, and monthly
19 negative pregnancy tests before starting therapy.

20 Notably, it was not until 1993 that a
21 requirement for pregnancy testing was added to the
22 boxed warning. Because of continued unacceptable

1 levels of fetal exposure to isotretinoin, risk
2 labeling and mitigation strategies were
3 progressively made stronger, based on FDA and
4 advisory committee feedback.

5 In the year 2000, the label was updated with
6 a requirement for two negative pregnancy tests
7 prior to the initial prescription, and the DSaRM
8 panel articulated the goals that no patient should
9 begin isotretinoin therapy if pregnant and that no
10 patient should become pregnant while being treated
11 with isotretinoin.

12 In January 2002, the SMART RiskMAP was
13 initiated, which was the first program linking
14 dispensing of drug to confirm negative pregnancy
15 status. Several similar programs were subsequently
16 implemented by sponsors of generic isotretinoin
17 products. In 2004, the DSaRM committee recommended
18 the initiation of a unified mandatory isotretinoin
19 risk management program with a single centralized
20 pregnancy registry. The iPLEDGE program was
21 approved in 2005, and in March of 2006, the
22 transition to iPLEDGE was completed, and all

1 previous risk programs were discontinued.

2 This committee met in 2007 and 2011 to
3 review the program and provide guidance on its
4 further development. In 2008, the prescription
5 window for males and females not capable of
6 becoming pregnant expanded from 7 to 30 days. This
7 is one example of a modification implemented to
8 reduce stakeholder burden for patients, and then in
9 2010, iPLEDGE was deemed a REMS by the FDA.

10 There have been a number of major and minor
11 modifications to the iPLEDGE REMS approved by the
12 FDA, including the most recent set in October of
13 2021, which I will describe in more detail on the
14 next slide.

15 At the end of 2021, we initiated a system
16 transition because the previous vendor announced
17 that they were no longer offering REMS services.
18 This transition to a new website and contact center
19 did not go as planned, but as a result, we had
20 extensive discussions with stakeholders and FDA as
21 to how to reduce the burden of the REMS while
22 maintaining safety. These discussions led to the

1 submission of a set of proposed modifications in
2 November of 2022.

3 As I mentioned on the previous slide, there
4 have been a number of major and minor modifications
5 to the iPLEDGE REMS since 2010. Proposed
6 modifications to the REMS programs must be
7 submitted to the FDA by the iPLEDGE sponsors, and
8 only approved changes may be implemented. This
9 slide lists some key modifications approved and
10 implemented over time. The full list is available
11 in the briefing document or on the FDA website.

12 Major modifications are defined as changes
13 that have a substantial effect on information about
14 the serious risks or safe use of isotretinoin or
15 the actions that stakeholders must take to comply
16 with the REMS. Modifications to the iPLEDGE REMS
17 are proposed reactively to align with new labeling
18 or FDA guidance and requirements. Modifications
19 have also been proposed proactively in response to
20 stakeholder feedback. Note that minor
21 modifications include editorial updates to REMS
22 materials or the website, which are expected to

1 have limited effect on the safe use of
2 isotretinoin.

3 The history of the iPLEDGE REMS modification
4 shows that the program has evolved over the course
5 of time as required and directed by the FDA. You
6 will hear more detail about our most recent
7 proposed modifications to improve the iPLEDGE REMS
8 later today from Greg Wedin, but first I would like
9 to talk about the patient risk categories used in
10 the iPLEDGE REMS.

11 These patient categories have been referred
12 to by several terms over the life of the iPLEDGE
13 REMS. Prior to the December 2021 transition, the
14 most recent terms used were "females of
15 reproductive potential, females not of reproductive
16 potential, and males." Since the December 2021
17 transition, the classifications were condensed into
18 two patient categories. The first category is
19 patients who can become pregnant, or PWCBP, which
20 includes cisgender females and transgender males.
21 The second category is patients who cannot become
22 pregnant or PWCNBP, which include cisgender males,

1 transgender females, cisgender females and
2 transgender males that have undergone a
3 hysterectomy, a bilateral oophorectomy, or are
4 postmenopausal.

5 The current goals of the iPLEDGE REMS are to
6 prevent fetal exposure to isotretinoin and to
7 inform prescribers, pharmacists, and patients about
8 isotretinoin serious risk and safe-use condition.
9 For the iPLEDGE sponsors, this means no patient
10 should start isotretinoin if they are pregnant, and
11 no patient should become pregnant while on
12 isotretinoin.

13 Elements to assure safe use, or ETASUs, are
14 intended to provide safe access to drugs with known
15 serious risks that would otherwise be unavailable
16 to a patient. The ETASUs for the iPLEDGE REMS
17 include special certification for prescribers and
18 pharmacies who prescribe and dispense isotretinoin,
19 dispensing isotretinoin only to patients who are
20 enrolled in iPLEDGE with evidence of safe-use
21 conditions, such as a negative pregnancy test and
22 confirmation of use of contraception, and a

1 centralized pregnancy registry for iPLEDGE enrolled
2 patients who become pregnant.

3 Here is how iPLEDGE implements these ETASUs.
4 The iPLEDGE REMS is a single centralized program
5 for all isotretinoin products. The iPLEDGE system
6 provides a technical infrastructure to support
7 registration and enrollment of stakeholders; a
8 collection of laboratory pregnancy test results
9 before, during, and after therapy; verification of
10 patient qualifications; proactive compliance
11 monitoring and actions; and a centralized pregnancy
12 registry.

13 The program is a restricted distribution
14 model in which manufacturers may ship isotretinoin
15 only to registered wholesalers who then may ship
16 only to certified pharmacies. Prescribers can only
17 prescribe to enrolled patients, and finally,
18 pharmacies may dispense only to enrolled patients
19 with evidence of safe-use conditions. Each of
20 these activities involve direct interactions with
21 the iPLEDGE REMS to ensure all ETASUs and safe-use
22 conditions are satisfied to ensure traceable links

1 that are documented in the iPLEDGE system.

2 Throughout our presentations, you will hear
3 us refer to certain years of the iPLEDGE REMS. The
4 years of iPLEDGE reporting periods do not align
5 with the calendar year. For example, iPLEDGE
6 year 15 ran from March 1, 2020 through February 28,
7 2021. For the first 15 iPLEDGE years, the sponsor
8 submitted an annual assessment report, where the
9 reporting period ran from March through February of
10 the next calendar year. A bridge assessment report
11 was submitted for year 16, which covered the
12 10 months from the end of year 15 up to the
13 transition. This was the most recent assessment
14 submitted to the FDA. The next assessment report
15 is due March 1, 2024.

16 iPLEDGE has a very large patient population.
17 Patient enrollment is now based on one of two
18 patient risk categories, patients who can become
19 pregnant, which is often abbreviated as PWCBP on
20 our slides, and patients who cannot become
21 pregnant, abbreviated as PWCNBP. The categories
22 used in year 16 of females of reproductive

1 potential, females not of reproductive potential,
2 or males, are noted in parenthesis.

3 The iPLEDGE requirements are different for
4 each patient risk category. In year 16, there were
5 297,745 newly enrolled patients, which were about
6 evenly split between the two risk categories. The
7 risk management authorization is the last iPLEDGE
8 system interaction prior to a patient being
9 dispensed isotretinoin.

10 In year 16, there were more than 1.8 million
11 RMAs obtained. Cumulatively, 4.2 million patients
12 have been enrolled in iPLEDGE and more than
13 20 million RMAs were obtained. The majority of
14 patients who received at least one RMA in year 16
15 were between the age of 16 and 29 years of age.
16 The iPLEDGE requirements for the patient who can
17 become pregnant are intended to promote safe use of
18 isotretinoin.

19 I will now walk you through what
20 interactions with the iPLEDGE REMS system looks
21 like for a patient who can become pregnant. At the
22 initial patient enrollment visit with their

1 prescriber, they will receive educational materials
2 about isotretinoin and the requirements of the
3 iPLEDGE REMS. A screening pregnancy test performed
4 at this visit should be negative, and they will get
5 contraception counseling by the prescriber or be
6 referred to a contraception counselor.

7 Patients must be enrolled for at least
8 30 days before the first prescription. At their
9 next visit, after the patient has used two forms of
10 contraception for one month, or if choosing
11 abstinence, the patient has abstained from having
12 any sexual contact with a partner that can result
13 in pregnancy for one month, a second confirmatory
14 pregnancy test is performed during the first 5 days
15 of their menstrual period, immediately preceding
16 the beginning of isotretinoin therapy. This timing
17 provides the greatest probability that the patient
18 is not pregnant when starting isotretinoin therapy.

19 The date of the confirmatory pregnancy test
20 starts the 7-day prescription window. Within this
21 time period, the prescriber confirms the patient in
22 the system, documenting the negative pregnancy test

1 and completion of contraception counseling. The
2 patient is then required to interact with the
3 educational and risk management component of the
4 system to demonstrate their comprehension of the
5 patient requirement.

6 The pharmacy must interact with the system
7 to obtain an RMA to ensure all ETASUs and safe-use
8 conditions are in place. If not in place, the RMA
9 is denied. When the RMA is obtained, the
10 pharmacist is provided with a do-not-dispense after
11 date. The prescription must be dispensed to the
12 patient by this date. These sets create a 7-day
13 window in which to dispense a 30-day supply of
14 isotretinoin to the patient, minimizing the chances
15 that the patient is pregnant before starting
16 therapy, and safe-use conditions are confirmed in
17 each subsequent month of treatment.

18 For a patient who cannot become pregnant, at
19 the initial enrollment visit, the patient is
20 educated about isotretinoin and enrolled and
21 consented in the system. The patient is counseled
22 on iPLEDGE REMS requirements, including the

1 importance of not donating blood or sharing
2 leftover medication, and they are then provided a
3 prescription for no more than a 30 day supply.
4 This, again, is a 30-day prescription window with
5 day 1 being the patient's office visit. Before the
6 patient can access their medication, their
7 prescriber must confirm in the iPLEDGE website that
8 the patient is enrolled and has received
9 counseling.

10 A registered pharmacy must interact with the
11 system to obtain a risk management authorization.
12 The patient can then receive no more than a 30-day
13 supply of isotretinoin. This process then repeats
14 for each month of treatment. The patient is not
15 required to interact with the system for these
16 monthly interactions.

17 So how do we know that all of these efforts
18 are effective? There are a number of metrics we
19 use to determine if we are meeting our goals of
20 preventing fetal exposure and informing
21 prescribers, pharmacists, and patients about
22 isotretinoin serious risks and safe-use conditions.

1 Let's first look at at how we assess if we are
2 preventing fetal exposure to isotretinoin.

3 The iPLEDGE REMS has had a consistently low
4 pregnancy rate for 16 years, with an average of
5 less than 1.1 pregnancy per 1,000 patients who can
6 become pregnant, who received at least 1 RMA. In
7 fact, this rate has been less than 1 pregnancy per
8 1,000 patients who can become pregnant in iPLEDGE
9 years 12 through 16, corresponding with March 2017
10 through December 2021.

11 As a point of reference, the overall rates
12 of unintended pregnancies in the U.S. was 45 per
13 1,000 among women and girls 15 to 44 years of age.
14 This is according to research published by Finer
15 and Zolna in 2016 using data from two nationally
16 representative sources from 2011. To our
17 knowledge, this is the most updated and reliable
18 source of information for unintended pregnancies in
19 the U.S. Recall that the vast majority of patients
20 in the iPLEDGE REMS are also between the ages of 15
21 and 44. In contrast, the iPLEDGE REMS pregnancy
22 rate in iPLEDGE year 6, which corresponds with

1 2011, was 1.2 pregnancies out of 1,000 patients who
2 can get pregnant.

3 While isotretinoin is used worldwide, no
4 country has adopted a program equivalent to
5 iPLEDGE. Canada's pregnancy prevention program,
6 for example, is education based and is not a
7 restricted distribution model. This is a similar
8 system to the pregnancy prevention program in the
9 U.S. prior to iPLEDGE. It is less stringent than
10 iPLEDGE in that it only requires informed written
11 consent, 2 pregnancy tests with negative results
12 before starting isotretinoin, and 2 reliable forms
13 of contraception during treatments. However, there
14 is no system in place that monitors compliance with
15 these requirements.

16 While the overall unintended pregnancy rate
17 was comparable to the U.S. rate, Henry, et al.
18 estimated the rate of pregnancy during isotretinoin
19 treatment for the Canadian pregnancy prevention
20 program to be 16 to 24 pregnancies per 1,000 female
21 patients.

22 These data support the iPLEDGE REMS with its

1 restricted distribution model is highly effective
2 in reducing the rate of pregnancy in patients
3 taking isotretinoin. In iPLEDGE years 1 through
4 16, iPLEDGE detected 516 pregnancies before the
5 patient initiated treatment, which prevented a
6 dispense to the patient when pregnant, and as a
7 result, prevented fetal exposure to isotretinoin.

8 Now let's turn to the evidence that
9 demonstrates that iPLEDGE meets its second goal, to
10 inform prescribers, pharmacists, and patients about
11 isotretinoin's risks and IPMG safe-use conditions.
12 This goal is also demonstrated by the high
13 percentage of patients responding affirmatively to
14 questions presented to the patient before their
15 first prescription. Specifically, more than 98
16 percent of all patients who can become pregnant
17 recall being told to avoid pregnancy while on
18 isotretinoin therapy, and over 90 percent recall
19 reading the education materials they were provided.

20 Patients who can become pregnant demonstrate
21 high comprehension about the use of contraception
22 and the risk of birth defects. These questions

1 demonstrate the patient's understanding of the
2 iPLEDGE REMS requirements, the birth control the
3 patient has chosen, and the risks associated with
4 isotretinoin. The questions presented to the
5 patient are tailored to the patient's contraception
6 choices. In year 16, more than 95 percent of
7 patients who can become pregnant passed the monthly
8 comprehension on the first try. In addition, you
9 will note that patient comprehension scores were
10 similar between patients who did or did not become
11 pregnant.

12 iPLEDGE is achieving its goal of informing
13 prescribers about isotretinoin's serious risks and
14 safe-use conditions. This is evidenced by the high
15 rates of comprehension demonstrated by prescribers
16 in the knowledge, attitude, and behavior survey of
17 prescribers. Surveyed prescribers exceeded the
18 comprehension rate goals for all four key risk
19 messages in the most recent knowledge, attitude,
20 and behavior survey, which was performed in 2021
21 during iPLEDGE year 16.

22 Pharmacists are also surveyed on their

1 understanding of four key risk messages relevant to
2 pharmacists. You will note high understanding
3 scores for the first three key risk messages for
4 pharmacist exceeding the goal rates; however,
5 pharmacists have consistently scored low on key
6 risk message 4, which specifically assess knowledge
7 as to whether or not it is permissible for a
8 pharmacy to borrow isotretinoin from another
9 pharmacy. The IPMG is evaluating what improvements
10 are needed in order to meet target goals for
11 pharmacists' understanding key risk message 4.

12 In conclusion, the iPLEDGE system is meeting
13 its goals of preventing fetal exposure to
14 isotretinoin and informing prescribers,
15 pharmacists, and patients about isotretinoin's
16 serious risks and IPMG safe-use conditions. This
17 is evidenced by very low pregnancy rates, the
18 prevention of pregnant patients from receiving
19 drug, and high comprehension and understanding
20 scores. The iPLEDGE REMS helps to ensure the
21 benefits of isotretinoin outweigh the risks.

22 I described to you briefly the evolution of

1 the iPLEDGE REMS and modifications that have been
2 approved by the FDA over time. As you will hear,
3 any proposed modification to reduce stakeholder
4 burden must be carefully weighed against the
5 potential for an increased risk of fetal exposure
6 to isotretinoin. Thank you for your time. Now I'd
7 like to invite Dr. Sara Ephross from Syneos Health
8 to discuss the pregnancy registry.

9 Dr. Ephross?

10 **IPMG Presentation - Sara Ephross**

11 DR. EPHROSS: Thank you, Mr. Shamp.

12 Hi. My name is Sara Ephross. I'm senior
13 director of epidemiology at Syneos Health. I have
14 more than 25 years of experience with pregnancy
15 registry. I'll provide a summary of the pregnancy
16 registry and describe the role the registry plays
17 in the REMS. I'll discuss the scope and process
18 for pregnancy registry data collection, including
19 collection of pregnancy and fetal outcomes and
20 reported root cause of pregnancy; for example,
21 failure to use two forms of contraception or
22 unsuccessful at abstinence. And finally, I'll note

1 that we plan to evaluate opportunity for more
2 streamlined processes and more succinct data
3 collection forms.

4 Patients who become pregnant while on
5 isotretinoin are eligible for inclusion in the
6 pregnancy registry. The objectives of the registry
7 are to determine the isotretinoin exposure status
8 for each reported pregnancy, document the outcome
9 for each pregnancy, and obtain additional
10 information for each pregnancy to allow for the
11 evaluation of the underlying root cause of
12 pregnancy.

13 Pregnancies are reported to the pregnancy
14 registry in a variety of ways. About 70 percent of
15 the report comes through the iPLEDGE REMS database.
16 If a positive pregnancy test result and/or the
17 diagnosis of pregnancy is entered into the
18 database, the registry team is alerted and begins
19 follow-up. If a pregnancy is reported by phone,
20 the registry team collects information from the
21 caller. If a patient is discontinued from iPLEDGE
22 due to a pregnancy, the registry team contacts the

1 prescriber's office to begin follow-up. And
2 finally, all other pregnancy reports from various
3 sources, including published literature, are
4 evaluated for inclusion in the registry.

5 The current pregnancy registry data
6 collection flow begins with an initial pregnancy
7 report. Exposure status is classified as either
8 exposed, indeterminant, or not exposed.
9 Non-exposed pregnancies are neither reported to the
10 agency nor included in statistical analyses. For
11 pregnancies that are classified as either exposed
12 or indeterminate, initial data is extracted from
13 the iPLEDGE REMS database, including patient
14 demographic, prescriber contact information, and
15 isotretinoin therapy dose and time. Additional
16 information requested from the reporter includes
17 healthcare provider contact information, and for
18 patients only, information for a secondary point of
19 contact and consent to participate in and be
20 contacted by the pregnancy registry team.

21 Maternal prenatal test results, medication,
22 medical and family history, any noted birth defect,

1 and pregnancy status are all collected at the
2 initial pregnancy report 30 days later and at each
3 trimester. At the end of the pregnancy,
4 information is collected about the outcome and
5 contact information is confirmed. Finally, for
6 live birth, the registry collects infant birth
7 defects noted, testing results, and medications at
8 three time points up to 12 months of age: 30 days,
9 6 months, and 12 months.

10 As part of their initial iPLEDGE consent
11 form, all patients who can become pregnant attest
12 that they understand that in the event of a
13 pregnancy, their information may be shared with the
14 pregnancy registry. When a pregnancy is reported,
15 the registry team provides pregnancy registry
16 patient consent and medical release forms for the
17 patient to authorize the OB/GYN and/or pediatrician
18 to release information to the registry.

19 Overwhelmingly, follow-up occurs with the iPLEDGE
20 prescriber because this voluntary patient consent
21 is not usually given. Pregnancy follow-up occurs
22 at 30 days after the pregnancy report and the

1 first, second, and third trimesters.

2 If an infant is born, the registry collects
3 information at birth, 1 month, 6 months, and 1 year
4 of age when unavailable. Importantly, a case is
5 closed once a pregnancy outcome and a reason for
6 pregnancy are obtained; otherwise, the case is
7 considered lost to follow-up.

8 When collecting data on pregnancy, the
9 registry team attempts to collect the following
10 information: patient demographic, pregnancy test
11 types and results, and information on the course of
12 isotretinoin treatment, which are all extracted
13 from the iPLEDGE REMS.

14 Data requested from the reporter includes
15 first day of last menstrual period and approximate
16 date of conception; exposure status, exposed,
17 indeterminately exposed, or non-exposed;
18 isotretinoin treatment start and stop dates; root
19 cause or contributing reason for pregnancy; and
20 pregnancy outcome, defined as either elective
21 termination, spontaneous abortion, missed abortion,
22 ectopic pregnancy, stillbirth, live birth, still

1 continuing -- that is pending pregnancy
2 outcome -- or lost to follow-up.

3 Pregnancy outcome status, defined broadly as
4 pregnancy outcome known, pregnancy outcome,
5 unknown, or lost to follow-up, has remained stable
6 over the life of the registry. Note that at the
7 end of the year 16 reporting period, December 10,
8 2021, there were 53 pregnancies that were still
9 continuing; that is pending pregnancy outcome. The
10 outcome of these pregnancies will be updated in the
11 next assessment report. Overall, about one-third
12 of pregnancies reported to the registry have been
13 lost to follow-up.

14 Over the life of the iPLEDGE pregnancy
15 registry, 2,724 pregnancy outcomes have been
16 reported, with about one-third of these lost to
17 follow-up. At the end of the year 16 reporting
18 period, 54 pregnancies were considered still
19 ongoing, including one from year 15 and 53 from
20 year 16. Once again, the outcome of the still
21 ongoing pregnancies will be updated in the next
22 assessment report. Where pregnancy outcome is

1 known, the largest number were elected termination,
2 followed by spontaneous abortion. There have been
3 124 reported live births. These pregnancy outcomes
4 have remained stable through the life of the
5 registry.

6 The root cause analysis is focused on
7 identifying the reported reasons pregnancies occur.
8 This is important because this information helps to
9 evaluate the effectiveness of the iPLEDGE REMS.
10 The reasons for pregnancy reported by prescribers
11 have been stable over time. The three most common
12 reported reasons are failure to use two forms of
13 birth control or unsuccessful at abstinence,
14 followed by contraceptive failure. For about
15 25 percent, the prescriber reported the reason for
16 pregnancy as unknown, meaning no additional
17 information was provided. There are fewer than
18 20 percent of patient-reported reasons for
19 pregnancy, with more than 80 percent missing
20 overall. The three most common reported reasons
21 are did not use two forms of birth control or
22 contraceptive failure, followed by unsuccessful at

1 abstinence.

2 Now turning to areas for future pregnancy
3 registry data collection, we recommend continuing
4 to collect pregnancy and fetal exposure
5 information, as well as root cause analysis of
6 reasons for pregnancy. This will continue to
7 inform whether the REMS is meeting its goal and
8 whether changes in the program are needed to
9 prevent further pregnancy. We plan to evaluate
10 opportunities for more streamlined processes and
11 more succinct data collection forms. And finally,
12 we acknowledge the FDA reviews team's conclusion
13 that there is extensive knowledge of the
14 teratogenic effects of isotretinoin. Given this,
15 we support reassessing the value of collecting
16 pregnancy and fetal outcome data.

17 Thank you, and now I'd like to turn the
18 floor back to the FDA.

19 DR. LO RE: We'll now proceed with a
20 presentation from Dr. Wenjie Sun of the FDA.

21 **FDA Presentation - Wenjie Sun**

22 DR. SUN: Good morning. Thank you for the

1 opportunity to present the iPLEDGE REMS
2 requirements to prevent exposure in pregnancy. My
3 name is Wenjie Sun. I am a medical officer and
4 work in the Division of Pediatrics and Maternal
5 Health in the Office of New Drugs at FDA.

6 Here is an outline of this presentation.
7 This presentation will focus on the REMS
8 requirements for contraception and pregnancy
9 testing in patients who can become pregnant. This
10 presentation will also discuss the rationale for
11 the 19-day lockout. First, I'm going to talk about
12 the contraception requirements in patients who can
13 become pregnant.

14 How contraception is chosen is based on many
15 factors, including medical conditions, previous
16 adverse reactions, drug-to-drug interaction,
17 correct and consistent use in contraception method,
18 and patient's preference. Medical conditions that
19 can affect prescribing include patient smoking
20 status, chronic and acute medical conditions, and
21 age. One such example is combined hormonal
22 contraceptives are not recommended in patients with

1 migraines with aura because it increases the risk
2 of stroke. Another example will be combined
3 hormonal contraceptives are not recommended in
4 patients who smoke and is 35 years older because it
5 can increase the risk of thrombo-involved events.

6 Patients should be allowed to have a choice
7 regarding which form of contraception they use.

8 The form of contraception that is selected should
9 be one that is tolerated and can be used
10 consistently and correctly by the patient.

11 Contraception failure is a major source of
12 unintended pregnancy. There are differences
13 between failure rates observed during the clinical
14 trial conditions and failure rates observed with a
15 typical use condition. Failure rates observed
16 during clinical trial reflect the intrinsic
17 efficacy of a contraception method. Typical use
18 effectiveness rate reflect failure rate during
19 everyday life, including inconsistent or incorrect
20 use.

21 As mentioned previously, there are
22 differences between contraceptive failure rates

1 under the clinical trial condition and typical use
2 condition. As you can see in this table, different
3 contraceptive methods have a variable typical use
4 failure rate during the first year of use.
5 Contraceptive methods such as sterilization,
6 intrauterine device, and implantable rod have high
7 inherited efficacy because they are user
8 independent. The report of pregnancy rates found
9 that all studies are low and less variable. The
10 failure rates for these methods are less than
11 1 percent, as you can see in the first red box
12 depicted here.

13 For other methods that are more user
14 dependent, such as injections, pills, patches,
15 ring, and birth control pills, inherited efficacy
16 is high, but there is still room for potential
17 imperfect use, such as forgetting to take the pill
18 or failure to return on time for injections, and
19 therefore, they're a wider range of reporter
20 probability of pregnancy, as you can see in this
21 second red box depicted here.

22 For patients who can become pregnant,

1 iPLEDGE requires two contraception methods for
2 30 days or more prior to initiation of treatment,
3 during treatment, and for 30 days after the end of
4 treatment, unless the patient commits to continuous
5 abstinence from having any sexual contact with a
6 partner that could result in pregnancy.

7 iPLEDGE assess abstinence as a lifestyle
8 choice. The prescriber and the patients are
9 required to document absence within the iPLEDGE
10 platform. The list of primary methods of
11 contraception and secondary methods are included in
12 the table here. The primary methods, which are
13 listed here in no particular order, accepted by
14 iPLEDGE, are those with typical failure rates of
15 less than 10 percent. The secondary methods are
16 backup methods with higher failure rates. The
17 iPLEDGE system also requires matching between
18 contraceptive methods entered into the system from
19 the patients and their healthcare providers.

20 With internal pregnancy testing, here is a
21 graphic representation of the program and pregnancy
22 testing requirements. Starting at the left part of

1 the graph, as you can see, iPLEDGE requires
2 patients who can become pregnant to start two forms
3 of contraception 30 days prior to treatment. The
4 patient must complete an initial pregnancy test and
5 then a confirmatory pregnancy test 19 days or more
6 after initial pregnancy test. The confirmatory
7 pregnancy test must be completed at a
8 CLIA-certified lab. During the COVID-19 public
9 health emergency, the CLIA requirement was waived,
10 and patients were allowed to complete their
11 pregnancy test either at home or at a physician's
12 office.

13 Patients who can become pregnant have a
14 7-day description window starting the date of the
15 confirmatory pregnancy test to complete all
16 requirements and then obtain prescription. During
17 the first prescription pill, if the patient failed
18 to obtain the prescription within the initial
19 7 days of the prescription window, then they must
20 wait 19 days or more before completing another
21 confirmatory pregnancy test. The maximum duration
22 of prescription for treatment is 30 days.

1 Additionally, patients must complete a
2 pregnancy test prior to each refill. The
3 prescription window for the refills are 7 days. If
4 patients fail to obtain the prescription during the
5 prescription window for refill, they may repeat
6 confirmatory pregnancy test without any wait time.
7 Patients who can become pregnant must complete the
8 pregnancy test at the completion of treatment and
9 then 30 days after treatment. Patients must
10 continue two forms of contraceptives for 30 days
11 after treatment.

12 So why is there a 19-day lockout when the
13 initial 7-day prescription window is missed? The
14 19-day lockout only applies to the first
15 isotretinoin prescription. Patients who enter into
16 lockout have not started treatment. This is an
17 additional layer of screening to prevent exposure
18 to isotretinoin. The 19-day lockout does not apply
19 to subsequent prescriptions where the patient has
20 already started treatment, and therefore exposure
21 cannot be prevented. The goal there is to minimize
22 exposure.

1 In the next few slides, I will be discussing
2 the menstrual cycle, ovulation, and the rationale
3 for the 19-day lockout with respect to detecting
4 pregnancy. Here is a picture representing an
5 idealized menstrual cycle. In an adult female, the
6 menstrual cycle lasts anywhere from 21 to 40 days,
7 with average being 28 days. The cycle starts with
8 menses, which the uterine lining is shed. During
9 the first 2 weeks of a menstrual cycle, estrogen is
10 a dominant hormone. The uterine lining
11 proliferates and the dominant follicle develops at
12 one of the ovaries.

13 After LH surge, which is approximately
14 14 days prior to the onset of the next menses,
15 ovulation occurs. If no pregnancy occurs, the
16 follicle envelops into corpus luteum, progesterone
17 dominates, and the uterine lining becomes thicker
18 until it sheds again, beginning another cycle.

19 Hormonal contraceptives work by blocking
20 ovulation via suppression of FSH release and LH
21 surge. Additionally, it also inhibits pregnancy by
22 thickening of the cervical mucus and induced

1 endometrial atrophy. If a pregnancy occurs after
2 ovulation, the embryo develops and implants
3 approximately a week after fertilization.

4 This graph shows the median serum hCG result
5 in a study obtained from 109 volunteers after
6 conception. As you can see, no hCG level was
7 detected for a week after conception. This is
8 because the hCG was succeeded by the trophoblasts
9 after implantation, and therefore cannot be
10 detected until 8 to 10 days after fertilization;
11 however, individual variation exists. Because a
12 pregnancy requires time to develop before
13 detection, there will always be a gap of time where
14 a new pregnancy cannot be detected.

15 This is a graphic illustration of the 19-day
16 lockout for a patient with regular menses who are
17 not on formal contraception. For a patient with
18 regular menses, iPLEDGE requires their confirmatory
19 test be complete within the first 5 days of the
20 menses. A failure to obtain the prescription
21 during the initial 7-day prescription window
22 results in waiting 19 days or more from the date of

1 the confirmatory test, and that brings a patient to
2 day 20 to 24 nadir of their cycle. Testing around
3 day 20 to 24 of the cycle correlates to the
4 earliest time where a pregnancy conceived during
5 the cycle can be detected.

6 According to a prospective cohort study of
7 221 healthy females with regular and irregular
8 menstruation, who were planning on a pregnancy, the
9 subjects were tested daily using urine pregnancy
10 tests with an extremely sensitive immunoradiometric
11 assay with detection limit similar to the serum
12 pregnancy test today. This study found the
13 following. At approximately 7-to-10 days after
14 conception, or day 21 to 24 of the cycle,
15 approximately 40-to-66 percent of pregnancies were
16 detected. At day 14, after conception, which is
17 around the day of the expected menstrual period,
18 90 percent of pregnancies were detected, and
19 19 days after conception, 97 percent of pregnancies
20 were detected.

21 This graph represents patients with
22 unpredictable ovulation, which applies to those

1 with irregular bleeding, amenorrhea, and those with
2 failure on hormone contraception. Similar to
3 patients with regular menses, in patients with
4 irregular menses and amenorrhea, the REMS also
5 requires confirmatory tests to be complete at a
6 certain time. The confirmatory pregnancy test
7 should be complete after the patient has used two
8 forms of contraception for 1 month, 19 days or more
9 after initial pregnancy test, and immediately
10 preceding to start treatment. However, the REMS do
11 not have requirements for when the confirmation
12 tests should be complete in regards to the
13 menstrual cycle.

14 Ovulation in this population is random.
15 This graph also applies to patients taking hormonal
16 contraceptives, where the timing of the
17 unanticipated ovulation is unpredictable as a
18 result of contraceptive failure. Waiting 19 days
19 or more allows for detection of 97 percent of
20 pregnancies conceived prior to the wait time, prior
21 to the time of the confirmatory pregnancy test. A
22 detection rate for a pregnancy conceived during the

1 19 days depends on the time between conception and
2 when the pregnancy test was performed. The longer
3 you wait between conception and pregnancy test, the
4 better the detection.

5 In summary, the 19-day lockout requirements,
6 although affecting a notable number of patients,
7 continue to provide an opportunity to detect
8 pregnancy and prevent fetal exposure in patients
9 who have not yet begun isotretinoin therapy. The
10 19-day lockout only applies to the first
11 isotretinoin prescription and start from the date
12 of when the confirmatory pregnancy test was
13 performed.

14 During the first prescription, a patient who
15 has entered into lockout has not started treatment,
16 and the goal is to prevent exposure to
17 isotretinoin. The 19-day lockout does not apply to
18 the subsequent prescription, where a patient has
19 already started treatment, and therefore exposure
20 cannot be prevented. The goal there is to minimize
21 exposure.

22 I'd like to take this time to thank you for

1 your attention, and special thanks to the FDA
2 team's Office of Medication Error Prevention and
3 Risk Management; Office of Pharmacovigilance and
4 Epidemiology; and Division of Dermatology and
5 Dentistry. I'd like to go ahead and turn over the
6 presentation to IPMG.

7 DR. LO RE: Thank you, Dr. Sun.

8 We'll now turn to a presentation from
9 Dr. Gregory Wedin from IPMG.

10 **IPMG Presentation - Gregory Wedin**

11 DR. WEDIN: Good morning. My name is Greg
12 Wedin, and I represent Upsher-Smith Laboratories on
13 the iPLEDGE REMS. Upsher-Smith has been a
14 participant in the iPLEDGE REMS since April of
15 2021. At that time, the iPLEDGE REMS was actively
16 transitioning to a new REMS administrator, website
17 host, and contact center. The iPLEDGE REMS was
18 working under a very tight timeline because the
19 former vendor of the iPLEDGE technology platform
20 and contact center was exiting the REMS support
21 space, effective in December of 2021.

22 I am here today to describe the

1 modifications we have proposed to FDA to address
2 feedback we have received during and after the
3 transition, as well as minor updates to the iPLEDGE
4 REMS we have completed since the transition. I
5 will also address components of iPLEDGE that we
6 believe are important and should not change in
7 order to preserve the effectiveness of the program
8 and not introduce unacceptable new safety risks.

9 The transition to a new website and contact
10 center on December 13, 2021 did not go as planned.
11 There were a number of factors that contributed to
12 the challenges with the transition. Notably, there
13 was the departure of the Legacy system vendor with
14 relatively short notice. Passwords from the Legacy
15 system were encrypted and could not be transferred
16 to the new system, and existing passwords could not
17 be used.

18 I imagine most everyone on this call has
19 experience with the need to remember passwords for
20 many different websites or apps like your banking
21 accounts or credit cards. It is standard with
22 those sites that if you forget your password, you

1 have an alternate means by which to recover or
2 reset your password, such as a secret question you
3 are required to answer.

4 In our case, this was a date of personal
5 significance that the user selected when they
6 joined the site. Maybe it was a birthday or an
7 anniversary. Unfortunately, a large number of
8 users couldn't recall their dates of personal
9 significance. As a result, many could not log on
10 to the website, and this led to a massive influx of
11 calls to the newly established contact center.

12 Many actions were taken to address these
13 challenges, which I will discuss, and we ultimately
14 returned to our pre-transition levels of
15 performance.

16 During the days and weeks following the
17 transition, the iPLEDGE sponsors were in daily or
18 near daily contact with FDA. We presented data
19 related to the contact center and utilization of
20 the iPLEDGE website. We discussed various
21 strategies to increase prescriber, patient, and
22 pharmacy access to the iPLEDGE website, and to

1 overcome the influx of calls to the contact center.
2 Our goal was to restore the numbers of stakeholders
3 who were able to log into the iPLEDGE website to
4 pre-transition levels and return to the baseline
5 number of daily calls to the contact center.

6 Another closely monitored data point was the
7 number of risk management authorizations, or RMAs,
8 being generated by pharmacies, which represented
9 successful utilization of the system by
10 stakeholders, meaning that patients were able to
11 receive their prescriptions. The iPLEDGE sponsors
12 with vendor partners undertook a significant number
13 of actions to fully restore functionality of
14 iPLEDGE without taking down the website and while
15 preserving the safe use of isotretinoin.

16 There were four key actions initiated. We
17 sent extensive communications out through the
18 medical and pharmacy organizations and through the
19 contact center and iPLEDGE website. We provided
20 troubleshooting tips on the iPLEDGE homepage. We
21 added options to the forgot username and forgot
22 date of personal significance tools to help users

1 update their accounts and gain access to the
2 website.

3 The most impactful action we took was to
4 provide emails to prescribers with login links to
5 their accounts, and also provided the ability for
6 prescribers to send these links to their patients
7 and designees. This produced a steady daily
8 increase in logins by these stakeholders. Over the
9 next 4-to-8 weeks, users' access was mostly
10 restored, and the system has been functioning at
11 pre-transition levels for more than a year now.

12 One key indicator of functionality being
13 restored are the numbers of first-time logins to
14 the new system by various stakeholders. This slide
15 shows the number of prescriber logins over the time
16 since the transition on December 13th of 2021. You
17 will note a rapid rise in prescriber logins during
18 December through mid-January of 2022. This metric
19 is meaningful because prescribers need to log on to
20 the system in order to enroll and confirm patients.

21 Five days after the transition, we began a
22 targeted email campaign to reach prescribers,

1 designees, and pharmacists who had not yet logged
2 in, and in early January the get-login link was
3 implemented to facilitate access. There has been a
4 gradual ongoing increase in logins since that time.
5 We see a similar trend here for pharmacies, as
6 shown by the blue line.

7 Not surprisingly, as the number of
8 physicians and pharmacists who are able to log in
9 increased, the number of calls to the contact
10 center decreased. On the first day, however, there
11 were nearly 1.7 million calls to the contact
12 center. To address the increased call center
13 demand seen during the transition, additional call
14 center agents were added beginning in mid-January,
15 and then more were added in early and mid February.

16 Over time, this allowed our average hold
17 time, as seen here in the orange line, to drop to
18 pre-transition steady state. Another marker of the
19 return of the program to steady state is the
20 percentage of calls answered in a minute or less,
21 shown here with the blue line. We continue to
22 monitor these metrics weekly, and we report the

1 results to the FDA monthly.

2 Finally, this slide shows the total number
3 of approved RMAs post-transition as a percent of
4 the weekly average during the same time period the
5 year before. This demonstrates that within just a
6 few weeks, we achieved successful utilization of
7 the system by prescribers, patients, and
8 pharmacies, meaning that patients were able to
9 receive their prescriptions.

10 In order to get a real-world view of our
11 progress, we sought the input of community
12 dermatologists regarding their perceptions of
13 iPLEDGE. During the fall of '22, we conducted
14 interviews with 39 community-based dermatologists.
15 Most of these practitioners saw an average of
16 106 acne patients per month and had between
17 11-to-50 patients currently on isotretinoin. We
18 were interested in their perceptions about iPLEDGE
19 and modifications that were being considered for
20 proposal to FDA. One of the key questions we asked
21 these prescribers was, "How satisfied were you with
22 the iPLEDGE program?"

1 Here we see the level of satisfaction, an
2 average of 4.8 out of 7 prior to the transition.
3 Not surprisingly, you will note there was much
4 dissatisfaction during the transition, but after
5 the transition, the prescriber survey felt that we
6 have returned to a pre-transition performance,
7 which aligns with the website and call center data
8 I just shared with you.

9 During the transition in the days that
10 followed, there was discussion with the FDA and
11 stakeholders in which additional opportunities for
12 improvement were identified. Based on these
13 discussions, we submitted proposed modifications to
14 the program to FDA in November 2022. These
15 modifications balanced the desire for changes that
16 will reduce burden for stakeholders with the need
17 to preserve the foundational aspects of the
18 program, specifically to prevent the little fetal
19 exposure to isotretinoin.

20 After careful consideration, the iPLEDGE
21 sponsors have identified four potential
22 modifications to the program that we conclude will

1 reduce stakeholder burden while maintaining the
2 safe use of isotretinoin. I will briefly summarize
3 these now and provide more details in later slides.

4 First, we propose reducing the number of
5 confirmations to receive drug for patients who
6 cannot become pregnant by extending the
7 confirmation interval to every 120 days rather than
8 monthly as it is today. Second, we have proposed
9 changes to the enrollment process to enable a
10 designee to initiate and complete much of the
11 patient's enrollment. This data can be saved at
12 any time. The prescriber will need to complete the
13 process by reviewing and providing final approval
14 and sign off. You heard this morning from FDA that
15 this modification has been approved.

16 We will also be restoring the calendar
17 functionality that was available in the prior
18 website application, which will depict the
19 patient's status and provide information on actions
20 needed. This proposed modification has also been
21 approved. Finally, we are proposing some minor
22 changes on the website to help overcome entry

1 errors, which can delay access to isotretinoin, and
2 you heard this morning, this modification has also
3 been approved.

4 First, let's discuss the extended
5 confirmation interval for patients who cannot
6 become pregnant. This has been a request from
7 prescribers for quite a long time. It has been
8 suggested that other than the initial confirmation,
9 no other confirmation should be required, or at
10 least no more often than yearly, for patients who
11 cannot become pregnant. The iPLEDGE sponsors have
12 carefully considered this request, and while we
13 cannot support eliminating or extending the
14 confirmation interval to a year, the sponsors are
15 agreeable with a 120-day confirmation interval.

16 This slide shows the number of patients who
17 cannot become pregnant and how many total months of
18 therapy they received in iPLEDGE in year 16. You
19 will note that the mean duration of treatment is
20 about 5 months and 97 percent of patients complete
21 therapy within 10 months. In the current iPLEDGE
22 system, patients are confirmed by their prescriber

1 every 30 days, as shown by the red stars on this
2 chart. This means that they have been counseled by
3 the prescriber and have met other safe-use
4 conditions in order to receive a prescription.

5 As previously mentioned, we are agreeable to
6 extending the confirmation interval for patients
7 who cannot become pregnant to once every 120 days,
8 as shown by the yellow stars. We have recommended
9 a 120-day interval because this would ensure that
10 all patients who cannot become pregnant, treated
11 with isotretinoin beyond the mean duration of
12 therapy, will have documentation of at least one
13 additional confirmation before the end of therapy.

14 We have proposed this modification to reduce
15 administrative burden on prescribers while
16 maintaining other safe-use requirements.

17 Prescribers are required to counsel their patients
18 with every monthly prescription, and we do not
19 propose any change to this requirement. This
20 120-day confirmation ensures that for patients who
21 are being treated beyond the usual 4-to-5 month
22 duration of therapy, there is documentation in the

1 iPLEDGE system that important safety reminders are
2 being reinforced, and that a certified prescriber
3 has deemed that additional therapy is warranted.
4 However, extending the confirmation interval is not
5 risk-free and should be carefully examined by this
6 committee. Now, we can take a look at a 120-day
7 confirmation interval and discuss the benefits and
8 risks of this modification.

9 Currently, when a pharmacy receives a
10 prescription and obtains an RMA, this indicates
11 that the prescriber has confirmed patient
12 counseling on program requirements in the iPLEDGE
13 REMS website. The patient is only qualified to
14 receive drug during the 30-day confirmation
15 interval. This process and documentation are
16 required prior to each subsequent prescription
17 until the patient completes their course of
18 therapy.

19 The benefit of the proposed 120-day
20 confirmation interval is a reduction in the
21 administrative burden on prescribers to document
22 confirmation on a monthly basis. This means that

1 the patient can have a prescription filled when
2 they present a prescription to the pharmacy during
3 the confirmation interval without the need for a
4 prescriber to log into the iPLEDGE system and
5 confirm that counseling on the program requirements
6 have been completed. However, the potential risks
7 of extending the confirmation interval include
8 reduced oversight by a certified iPLEDGE prescriber
9 and an increased risk of drug sharing.

10 Extending the conformation interval to
11 120 days puts the patient in the status of
12 qualified to receive drug upon confirmation by the
13 certified prescriber for the duration of the new
14 120-day confirmation interval. This means that the
15 prescription presented to the pharmacy for each
16 subsequent monthly prescription would not
17 necessarily need to be from the same prescriber who
18 originally confirmed the patient. This is because
19 there is no secondary safety check to ensure that
20 the prescriber is certified with iPLEDGE, so
21 extending the confirmation interval creates a new
22 situation that could potentially be exploited to

1 circumvent the iPLEDGE REMS requirements.

2 Additionally, while not a new risk,
3 extending the confirmation interval may increase
4 the risk of drug sharing. For example, a patient
5 may be more likely to share their medication with
6 another person the further along in therapy they
7 get as their condition improves. For these
8 reasons, the iPLEDGE sponsors are agreeable with a
9 120-day confirmation level but do not support
10 extension beyond 120 days.

11 Our second proposal, a modification to the
12 patient enrollment process, helps to overcome a
13 burden recognized soon after the transition to the
14 new website in December of 2021. Currently, the
15 iPLEDGE website is designed so that all patient
16 enrollment information is to be entered
17 specifically by the prescriber.

18 We have proposed allowing designees to
19 initiate and document much of the required
20 enrollment information, including demographic
21 information pregnancy test results and patient
22 categorization. This data can be saved at any time

1 for completion later. This relieves the prescriber
2 of the burden of entering all the enrollment
3 information when for years, as we have learned,
4 this had often been completed in the Legacy system
5 by designees or other office staff. Importantly,
6 the prescriber will continue to have the
7 responsibility to review the data entered by the
8 designee, attest to its correctness, complete the
9 informed consent or consents with the patient, and
10 provide an electronic signature.

11 Our third proposed modification addresses
12 the failure to include the calendar functionality
13 for patients who can become pregnant with the
14 transition to the new website. This was quite
15 simply an oversight during the transition, as we
16 focused on the technical aspects of the restricted
17 distribution requirements of this program.

18 On the screen is a representation of the
19 calendar we will implement after approval by the
20 FDA. This provides a graphical view for patients,
21 prescribers, and their designees of the current
22 month of a patient's course of therapy and program

1 requirements for the patient. In the example on
2 the screen, the green highlighted calendar days
3 represent the 7-day window for a patient to pick up
4 their prescription. In each of the days on the
5 calendar, if you click the "more" link, it will
6 provide the user additional details of the
7 patient's status, including any pending actions
8 needed by the prescriber or patients.

9 In this example, the patient may pick up
10 their prescription at the pharmacy, including the
11 permissible time frame for pick up. In addition to
12 these changes, our fourth proposed modification
13 includes website updates for prescribers and
14 designees that we expect will reduce data entry
15 errors and help to reduce delays for patients and
16 receiving drug.

17 The proposed modifications, we just reviewed
18 were made based on input from stakeholders prior to
19 and following the transition. There are some
20 elements of the program the iPLEDGE sponsors feel
21 must be preserved for the safe use of isotretinoin
22 to continue. These relate to several different

1 waiting periods, and I will briefly address our
2 rationale for each of these in the upcoming slides.

3 Two of these waiting periods apply to the
4 initiation of treatment. These are known as the
5 30-day wait and 19-day wait. Following this, I
6 will then discuss the importance of the abstinence
7 switch wait, and finally, I will address the
8 iPLEDGE sponsors' position on the continued use of
9 at-home pregnancy testing. I will begin with the
10 waiting periods associated with the initial
11 prescription.

12 These waiting periods are designed to ensure
13 that patients who can become pregnant are not in
14 fact pregnant when they begin treatment. As I
15 mentioned, this applies specifically to their first
16 prescription. For patients who can become
17 pregnant, they must have a negative pregnancy test
18 and be on two forms of contraception or remain
19 abstinent for at least 30 days prior to their first
20 prescription. This 30-day run-in provides time for
21 the patient to receive counseling on contraceptive
22 options and initiate their contraceptive choices,

1 which may include another appointment, for example,
2 to have an IUD placed, and in some cases the time
3 necessary for certain contraceptives to become
4 fully effective, as with oral contraceptives.

5 After this waiting period and during the
6 first 5 days of the patient's menstrual cycle, a
7 confirmatory pregnancy test is to be obtained. If
8 the confirmatory pregnancy test is negative, the
9 patient can be confirmed by the prescriber to
10 receive drug. The patient then has up to 7 days
11 during which they must go online to complete
12 comprehension questions and confirm abstinence or
13 their two forms of contraception. At that point,
14 the pharmacy can obtain an authorization to
15 dispense the prescription for isotretinoin.

16 For the first prescription only, if the
17 patient does not complete these activities and fill
18 their prescription within those 7 days, they are
19 required to wait 19 days before having another
20 confirmatory pregnancy test for the process to
21 continue. So why 19 days? The rationale for the
22 19-day wait is to ensure the next confirmatory

1 pregnancy test is completed after the most fertile
2 period of the menstrual cycle has passed. This
3 ensures the patient does not receive and start
4 taking drug during the most fertile period.

5 The yellow star on day 1 of this graphic
6 represents the first confirmatory pregnancy test
7 taken during the first 5 days of the menstrual
8 cycle, noted in the purple-colored days. The 7-day
9 prescription window is represented by the
10 teal-colored days, and the most fertile window is
11 represented by the pink-colored days. If the
12 patient fails to receive their prescription during
13 the 7-day window, they must wait 19 days from the
14 original pregnancy test for the next confirmatory
15 test, which is represented by the yellow star on
16 day 20. You will note that the 19-day wait
17 requirement gets the patient beyond the most
18 fertile period of the cycle before potentially
19 beginning isotretinoin therapy.

20 The 19-day wait has been shown to prevent
21 people who are pregnant from receiving
22 isotretinoin. In iPLEDGE years 12 to 17, at least

1 12 pregnancies have been reported to iPLEDGE for
2 patients who were placed in a 19-day wait. This
3 number is likely underreported, as unexposed
4 pregnancies are not required to be reported to
5 iPLEDGE. It is the iPLEDGE sponsors' position that
6 eliminating the 19-day wait would increase the
7 potential for fetal exposure to isotretinoin;
8 therefore we believe the 19-day wait must remain in
9 place.

10 Next, we will discuss why we conclude that
11 the abstinence switch wait is an important element
12 to prevent fetal exposure to isotretinoin. The
13 abstinence switch requirement is designed to ensure
14 that patients who are changing their birth control
15 methods do not become pregnant during this
16 vulnerable transition period. If a patient who can
17 become pregnant chooses to switch birth control
18 from abstinence to two forms of contraception,
19 they're treated like a newly starting patient. The
20 patient is required to initiate and use the two
21 forms of contraception for 30 days, and then have a
22 confirmatory pregnancy test completed prior to

1 receiving their next prescription for isotretinoin.

2 This requirement mirrors the initial 30-day
3 wait requirement prior to initiating isotretinoin
4 therapy, as I discussed previously. Again, it is
5 important to consider that not all birth control is
6 immediately effective and that pregnancy tests may
7 produce false negative results if obtained shortly
8 after conception; therefore, some wait period is
9 necessary when changing from abstinence to two
10 forms of contraception. Thirty days has been the
11 standard for many years, and the low rates of
12 pregnancy in the iPLEDGE program support continuing
13 this standard.

14 Finally, I will address why the sponsors
15 conclude that we cannot support continued use of
16 home pregnancy tests. The REMS requirement for a
17 CLIA-certified laboratory pregnancy test was
18 relaxed at the beginning of the COVID-19 pandemic
19 in order to facilitate testing at times when
20 visiting the doctor's office may have been
21 ill-advised or impossible. While we agree that
22 this was an appropriate accommodation during the

1 time of a public health emergency, there are
2 limitations to at-home testing that make it less
3 reliable than CLIA-certified in-office tests, and
4 therefore could increase the risk of unintended
5 pregnancy.

6 Specifically, deliberate falsification of
7 results have been occurring at an unacceptable
8 rate. Similar methods of falsification were
9 documented in separate publications by both Smith
10 and Johnson in 2022. Further, there are a variety
11 of factors that may impact the reliability and
12 accuracy of at-home pregnancy test results.

13 Given these limitations, the iPLEDGE
14 sponsors do not support long-term use of at-home
15 pregnancy tests. However, after reviewing the FDA
16 briefing document and further discussion with
17 stakeholders, we agree that administration of a
18 pregnancy test with a sensitivity limit of at least
19 25 milli-international units per milliliter
20 administered in a provider's office is an
21 acceptable alternative to using a CLIA-certified
22 laboratory test. We support this proposed

1 modification.

2 So to conclude our remarks regarding
3 potential modifications, the iPLEDGE sponsors have
4 carefully considered a variety of suggestions from
5 stakeholders to reduce burden. We have proposed
6 modifications that will reduce burden but will not
7 increase the risk of fetal exposure to
8 isotretinoin. In addition, there are elements of
9 the program that the sponsors feel must be
10 maintained.

11 Thank you for your time today. We look
12 forward to the continued discussion on the proposed
13 changes to the iPLEDGE REMS to minimize burden on
14 patients, pharmacies, and prescribers while
15 maintaining safe use of isotretinoin for patients.
16 Thank you.

17 DR. BAUTISTA: Hi. This is Phil Bautista,
18 the DFO. Thank you, Dr. Wedin, for your
19 presentation. Before we begin with clarifying
20 questions for both FDA and IPMG, I wanted to circle
21 back to introductions.

22 Dr. Oussova, if you're available, can you

1 introduce yourself for the meeting record?

2 DR. OUSSOVA: Yes. I can't start my video.

3 Yes. My name is Tatiana Oussova. I'm the
4 deputy division director for the Division of
5 Dermatology and Dentistry, and participating in
6 this advisory committee. Thank you.

7 DR. BAUTISTA: Thank you, Dr. Oussova.

8 I'll hand it back now to Dr. Lo Re.

9 **Clarifying Questions to Presenters**

10 DR. LO RE: Thank you, Phil.

11 At this time, we will now take clarifying
12 questions. I'd ask that you please use the
13 raise-hand icon at the bottom of the Zoom to
14 indicate that you have a question, and then
15 remember to lower your hand by clicking the
16 raise-hand icon again after you've asked your
17 question. When you're acknowledged, please
18 remember to state your name for the record before
19 you speak, and direct your question to a specific
20 presenter, if you can. If you wish for a specific
21 slide to be put up, please let us know the slide
22 number and the presenter, if possible.

1 Then finally, it will be helpful to
2 acknowledge the end of your question with a thank
3 you and the end of any follow-up question with,
4 "That's all for my questions," so that way we can
5 move on to the next panel member.

6 Okay. I see a hand up from Dr. Katz.

7 DR. KATZ: Thank you. I've got a few
8 questions. This is Ken Katz. The first question
9 is, I think we all want the most effective REMS
10 program that's the least burdensome on everybody
11 involved. One of the things that strikes me is
12 that iPLEDGE seems to be the most burdensome REMS
13 program of all the teratogenic medicines that are
14 out there, and I found that comment 177 in the
15 docket, which is a manuscript from Zaenglein and
16 colleagues, that compares iPLEDGE to other REMS
17 programs, is very useful in explaining why it's so
18 much more burdensome and the ways it's so much more
19 burdensome.

20 So I think it would be nice to get a sense
21 from the FDA of how effective these other programs
22 are and what are the rates of pregnancy that they

1 are noting in those programs that are less
2 burdensome. Zaenglein also makes interesting
3 comments about the lack of information about
4 emergency contraception in the iPLEDGE program that
5 could also reduce pregnancy risk, and a question
6 about why a secondary form of birth control is
7 needed for people who are on long-acting reversible
8 contraception.

9 My second question is regarding the 19-day
10 lockout period and what is the rationale for that
11 if somebody is already on a long-acting reversible
12 contraceptive. Why does that 19-day lockout period
13 not apply to thalidomide or other teratogenic
14 medicines? Why is it unique to isotretinoin?

15 My third question has to do with the
16 confirmation interval that the isotretinoin group
17 states is permissible or acceptable for them every
18 120 days. It's my understanding that we're trying
19 to reduce fetal exposure to isotretinoin, and
20 people in that group would be involved with that if
21 they are diverting the medicine or through blood
22 donation. My question is, have there been any

1 cases of pregnancy exposure linked to diversion or
2 blood donation that we know about so far, and what
3 are the details, if so?

4 My last question is about the home test
5 issue. Those studies that were cited did show
6 falsification of home tests. There were some
7 mitigation strategies that have been proposed, such
8 as writing names and dates on pregnancy tests, and
9 I'm wondering what the stakeholders think about
10 that as a way to mitigate the risk of
11 falsification. And it seems like the iPLEDGE
12 program is the only REMS programs with a
13 requirement currently, except during COVID, for a
14 CLIA-certified lab testing.

15 Those are my four questions. Thank you very
16 much.

17 DR. LO RE: Great. It sounded like two of
18 the questions were directed, Dr. LaCivita, to the
19 agency, and at least to me, it sounded like two of
20 the other questions to IPMG. Can we start with the
21 agency's responses to questions about the lockout
22 if on long-acting contraceptives?

1 DR. LaCIVITA: Dr. Lo Re, may I actually
2 start with the question that I think was directed
3 about the REMS programs with other teratogenic
4 risks? Would that be acceptable?

5 DR. LO RE: Absolutely.

6 DR. LaCIVITA: Okay.

7 I'm not able to speak today about efficacy
8 or pregnancy rates for other programs. Those
9 sponsors aren't here today, and they aren't
10 participating in the meetings. What I can tell
11 you, though, is there are other programs, and they
12 vary, and it's depending on the indication, the
13 duration of use, and the severity of the embryo
14 fetal toxicity.

15 There are other programs that actually do
16 have requirements that are very similar to iPLEDGE,
17 depending on those indications, whether it's for an
18 oncology indication, where you may not want to
19 delay therapy for those particular patients. Those
20 requirements differ in that some of those programs
21 require weekly pregnancy tests for the first
22 4 weeks. If the patient has a regular menstrual

1 cycle, then they might have pregnancy tests after
2 the first 4 weeks, every 4 weeks. And if they have
3 an irregular cycle, then they would have pregnancy
4 tests every 2 weeks. So they are all a little
5 different from that perspective. I'm hoping that
6 maybe answers some of your questions with regard to
7 that.

8 I think your second question was regarding
9 the issue with --

10 DR. KATZ: The long-acting contraception.

11 DR. LaCIVITA: -- the long-acting
12 contraception. The iPLEDGE REMS is not tailored to
13 contraceptive methods at this point in time. It's
14 done in a way to kind of minimize confusion, so
15 requiring variations within the program, we thought
16 it could be confusing and it could actually lead to
17 poor outcomes.

18 So I'll stop with those, and hopefully that
19 answers the FDA's comments, and I just want to see
20 if others from the agency have anything to add.

21 DR. LO RE: Thank you, Dr. LaCivita.

22 DR. LaCIVITA: Sure.

1 DR. LO RE: Others from the agency to add?

2 (No response.)

3 DR. LO RE: If not, I'm going to turn to the
4 folks at IPMG to respond to Dr. Katz's other two
5 questions. There was a question about reducing the
6 fetal exposure by extending the confirmation
7 120 days. He asked about how often diverting
8 medication or blood donation has been observed, and
9 if there's any data on that? And then there was a
10 home testing issue question about writing names and
11 dates on iPLEDGE.

12 MR. SHAMP: It's Jim Shamp from UBC. I
13 think there may have actually been a third question
14 in there as well. I just want to make sure that
15 we're capturing that. I think there was a question
16 about the 19-day wait itself in users using LARC
17 contraception. Is that correct?

18 DR. LO RE: Yes. You could answer that as
19 well. I know Dr. LaCivita tried to address that as
20 well.

21 Why don't you start with that one?

22 MR. SHAMP: The reason we do require the

1 19-day wait in patients that are using LARCs is
2 that all contraception choices can fail, and it's
3 important that we ensure that that patient is not
4 pregnant prior to starting. As you saw from FDA's
5 presentation this morning, this is the only
6 opportunity that we can prevent exposure, so that
7 is paramount in this case.

8 To address the question about the 120 days
9 and if we have had any cases of pregnancy in
10 sharing of the drug, we have had several in the
11 early years of iPLEDGE through year 5, and we have
12 most recently had one, but this data has not been
13 provided -- it was in year 17 -- to the FDA at this
14 time.

15 The third question was the use of home
16 pregnancy tests and could we mitigate any concerns
17 of tests, and I will ask Dr. Greg Wedin to respond
18 to that question.

19 Dr. Wedin?

20 DR. WEDIN: Greg Wedin, Upsher-Smith
21 Laboratories. In the reports by Smith and Johnson,
22 there were recommendations that there might be ways

1 to mitigate that risk, as you've described. I
2 think that before we would want to go down that
3 path, we would need evidence that those sort of
4 mitigations would be effective. Also, it seems to
5 me that those mitigations would create significant
6 burden on patients and prescribers needing to
7 provide pictures with the date written on them, and
8 to receive that information, and to record that
9 information in the patient's medical record.

10 So it seems that that's a burdensome
11 approach, and as I mentioned earlier, we would need
12 more data to support that that would be effective.
13 Thank you.

14 DR. LO RE: Thanks, Dr. Wedin.

15 A question from Dr. Hernandez-Diaz.

16 DR. HERNANDEZ-DIAZ: Hi. This is Sonia
17 Hernandez-Diaz, and I had a question. I think it's
18 more for IPMG, and it is regarding the estimation
19 of the pregnancy rates for comparability between
20 the years and with other publications that have
21 been referenced.

22 My question is, when you provide the

1 estimation of the pregnancy rate in a year, how do
2 you consider the months of exposure during that
3 year if they are not 12 months, and the months of
4 follow-up for that particular patient?

5 MR. SHAMP: Jim Shamp with UBC. The way we
6 calculate the pregnancy rate in iPLEDGE is that we
7 take the number of patients that had at least one
8 RMA, which is the surrogate for having taken the
9 drug and being exposed. We divide that by 1,000 to
10 get the number of patients by 1,000, and then
11 divide the pregnancy rate by that number to
12 calculate the number of pregnant patients per 1,000
13 patients who receive drug.

14 DR. HERNANDEZ-DIAZ: Okay. Thank you.

15 DR. LO RE: Dr. Dublin, do you have your
16 hand up?

17 DR. DUBLIN: I do. Thank you. I'm Sascha
18 Dublin from Kaiser Permanente. I really appreciate
19 comments other people have raised. I would really
20 highlight the idea about educating people about
21 emergency contraception, but what I want to focus
22 on in a few questions is the monthly counseling

1 that continues to be required for people not of
2 childbearing potential.

3 I recognize the effort to minimize burden by
4 requiring confirmation every 120 days, but
5 requiring continued ongoing contact for monthly
6 counseling for people not of childbearing age seems
7 quite burdensome, especially in an era when we have
8 a really big problems with provider access and
9 provider shortage.

10 So my questions include, what is the
11 evidence we have that given the topics to be
12 covered, that monthly counseling is required rather
13 than, say, every 3 months or every 6 months? What
14 is the the rationale for requiring monthly
15 refreshers of this counseling, and what is the
16 evidence that it's more effective to have a contact
17 with a healthcare provider rather than providing an
18 interactive online educational module that could
19 potentially be automated and spare the healthcare
20 provider the ongoing burden of repeatedly meeting
21 with a patient who we think probably has a very low
22 likelihood of either diverting the drug or doing a

1 blood donation. Thank you.

2 MR. SHAMP: Jim Shamp from UBC. The
3 importance of the counseling in the system is that
4 it does provide the documented evidence that this
5 counseling has occurred, and I'll ask Dr. Greg
6 Wedin to further discuss this.

7 Dr. Wedin?

8 DR. WEDIN: Greg Wedin at Upsher-Smith
9 Laboratories. The monthly counseling, as has been
10 indicated in our presentations, is intended to
11 reinforce the importance of not sharing drug and to
12 not donate blood. I think it's important that it
13 does not necessarily have to take place during an
14 office visit. Certainly telemedicine has become a
15 very important approach to healthcare, in recent
16 years particularly, so that counseling would not
17 necessarily have to take place in person.

18 A prescription is required every 30 days, so
19 certainly any interaction that takes place between
20 the prescriber and the patient during that time, at
21 the time of the monthly prescription, that
22 reinforced counseling can easily take place. Thank

1 you.

2 MR. SHAMP: To address your questions about
3 other methods of counseling, we certainly
4 appreciate your suggestions of these other methods,
5 and that is something that we'd be happy to discuss
6 with the agency and with other stakeholders. Thank
7 you.

8 DR. DUBLIN: Thank you. I guess I would
9 just emphasize that we also know from many other
10 areas of attempting to improve clinical practice
11 and bring about provider and patient education,
12 that if you want a standardized set of information
13 delivered in a standardized way, healthcare
14 providers are not always the best people to task
15 with that. As a primary care physician, I
16 recognize the idiosyncrasies and inconsistencies
17 between providers, and I guess I would just
18 highlight the value of really considering an
19 alternative approach that takes the provider out of
20 the equation. Thank you. That's the end of my
21 questions for this topic.

22 DR. LO RE: Dr. Rasmussen, your hand is up

1 next.

2 DR. RASMUSSEN: Yes. I had a couple of
3 questions about the pregnancy registry for IPMG, I
4 think for Dr. Ephross. The first is how is
5 confidentiality assured for patients that are part
6 of the pregnancy registry? I'm guessing with the
7 changes in laws related to abortion, that women are
8 going to be more reluctant to provide information
9 if they can't be reassured of confidentiality to be
10 part of the pregnancy registry.

11 Then I wondered about whether there'd been
12 attempts to streamline the pregnancy registry
13 information or whether that's something that we're
14 going to be discussing today. I was thinking it
15 was very easy for me to participate in CDC's V-safe
16 program, where I could just answer -- they keep
17 sending me texts, but it's easy enough to respond
18 because it's just a few questions to answer
19 regarding the registry, and so it's very easy to be
20 part of a registry that way. Thanks.

21 MR. SHAMP: Jim Shamp from UBC. I will ask
22 Dr. Ephross to respond.

1 Dr. Ephross?

2 DR. EPHROSS: Sara Ephross, Syneos Health.

3 Regarding your first question,

4 Dr. Rasmussen, I think the issue of confidentiality
5 is an important part of the patient experience
6 here. I think that concerns about confidentiality
7 may be a large part of patients' reluctance to give
8 registry consent, as well as to give an
9 authorization to contact their individual
10 healthcare providers.

11 In terms of the second question, I think
12 that is something that we're going to be discussing
13 later today, and the IPMG is keen to work with FDA
14 and the advisory committee to help understand how
15 to make this easier to get high-quality data.

16 Thank you.

17 DR. RASMUSSEN: Thank you.

18 DR. LO RE: Great. Thank you.

19 Dr. Green, your hand is up.

20 DR. GREEN: Hi. Thank you. Can you guys
21 see me?

22 DR. LO RE: Yes.

1 DR. GREEN: Okay. Great.

2 The question, since we were looking for
3 data, is I would like to know if there is any -- if
4 you look at pregnancies reported prior to COVID
5 when not as many people were doing home pregnancy
6 tests, and telemedicine, and things along those
7 lines, to when COVID happened and now those things
8 were relaxed and you can do home pregnancy tests,
9 is there any data on how many pregnancies were
10 reported in people who were doing home pregnancy
11 tests versus people who were doing CLIA-certified
12 labs pregnancy tests?

13 The reason I ask is the pregnancy rates seem
14 to stay very similar, and if truly doing a home
15 pregnancy test was causing a lot of issues, I would
16 expect to see those numbers go up, particularly, if
17 you parse it out, in people who are doing home
18 pregnancy tests versus going to a lab.

19 My other question is, with the sharing of
20 the medication as a concern, my question really is,
21 I thought the proposal was we do it in the
22 beginning, and then we have to counsel them again

1 at 120 days, because what it sounds like is we'll
2 have to have appointments for people to come in
3 specifically to say, "Yes, you must not share this
4 medicine every month." We're talking about
5 decreasing burdens on patients. I feel like that's
6 bringing in patients and running up charges for no
7 real benefit. Over.

8 DR. LO RE: Thanks.

9 MR. SHAMP: Jim Shamp from UBC. To address
10 your first question, the iPLEDGE REMS system did
11 not collect the actual source of the pregnancy
12 tests during the public health emergency, so we
13 don't have specific data indicating the pregnancy
14 tests and whether or not those patients were
15 pregnant and caused an increase in the pregnancies.
16 I will ask Dr. Greg Wedin to address your second
17 question about the counseling for the 120-day
18 confirmation interval.

19 Dr. Wedin?

20 DR. WEDIN: Greg Wedin, Upsher-Smith
21 Laboratories. The counseling with each monthly
22 prescription, as we think about that, it's

1 important to remember that the limit on
2 prescriptions for isotretinoin is 30 days, and that
3 really should not change. So there needs to be an
4 interaction between the prescriber and the patient
5 in order to determine, is isotretinoin therapy
6 necessary? Should it continue? Should the dose be
7 the same? Should we increase or decrease the dose?

8 All of those sorts of interactions need to
9 take place on a monthly basis since prescriptions
10 are required monthly, and it's during that period
11 of time we believe that counseling on the safe-use
12 conditions can take place. And as I mentioned
13 earlier, certainly telemedicine is an acceptable
14 approach to providing that sort of counseling so
15 that actual in-office visits are not needed for
16 that patient population. Thank you.

17 DR. LO RE: Great.

18 Dr. Green, any follow-up?

19 DR. GREEN: No. Sorry, no follow-up. I
20 think this is an item for discussion later anyway,
21 the necessity of this kind of thing, and it's a
22 potential vote later, so I will hold off on

1 anything until that point.

2 DR. LO RE: Okay.

3 Dr. Tollefson?

4 DR. TOLLEFSON: Thank you. My question has
5 to do with the 19-day lockout period after the
6 initial report. I recall that there were
7 12 reported pregnancies over a 5-year reporting
8 period in that 19 days. I understand that there
9 might have been more than that that were not
10 reported, but if you look at those ones that were
11 reported, were you able to break down at what stage
12 those pregnancies occurred? Because we got data
13 that a certain percentage of pregnancies are
14 detected at 10 days, at 14 days, at 19 days, so is
15 there a period of time in there where more of the
16 pregnancies were reported?

17 MR. SHAMP: Jim Shamp from UBC. From
18 years 12 through 17, there were 12 pregnancies
19 reported after that 19-day wait, and you are
20 correct that these are not required to be reported
21 because they are not exposed, so it's possible that
22 they are underreported.

1 We do not have any data available as to the
2 specific timing of that pregnancy, but it is just
3 further evidence that the program is working, and
4 that we have detected these 12 pregnancies and
5 prevented exposure to isotretinoin in the fetus.
6 Thank you.

7 DR. TOLLEFSON: Thank you. I think my only
8 comment is it might be helpful to have that
9 information as we think about that time period.

10 DR. LO RE: Great.

11 Dr. Delost, your hand's up.

12 DR. DELOST: Yes. Thank you. Based on that
13 comparative lack of data on drug sharing, and you
14 are pretty firm with that 30-day supply from what I
15 understand -- but from a patient burden and a
16 prescriber burden standpoint, and the way the
17 prescriptions work most of the time, without that
18 drug sharing data and the fact that you could
19 follow up at 90 days instead of 120 days, I would
20 propose maybe considering that 90-day medications
21 with 90-day follow-ups would match up with the way
22 our payers pay or with the community pharmacy for a

1 90-day supply; and lacking any more severity as far
2 as the data, the drug sharing, we could still
3 achieve all that itself.

4 The only problem would be is to change some
5 RMA requirements because with the RMA, we'd have to
6 know when those RMAs are done and when to reassess
7 the system as far as payment for the follow-ups on
8 90 days. So we have to look at that whole system,
9 but just a proposal, probably premature, but maybe
10 IPMG or FDA will approach this subject. It might
11 be premature, but if you want to talk about it,
12 it'd be great. Thank you very much.

13 MR. SHAMP: Jim Shamp from UBC. I just want
14 to clarify my response earlier about the sharing of
15 the drug. I believe the question was specific to
16 patients that became pregnant, where we knew the
17 drug was shared. We do have data on specific
18 sharing of medications. Through the life of the
19 program, there have been 24 reported instances of
20 drug being shared. Most recently in
21 years 12 through 16, we have had 4 cases of drug
22 being shared, and it's important to understand in

1 this that these are retrospective reports of the
2 drug sharing. Thank you.

3 DR. DELOST: Just to clarify, I hope I
4 addressed this just for the person that's not able
5 to become pregnant. I want to make sure we address
6 that. That's the only group I'm looking at.

7 MR. SHAMP: Yes, understood.

8 Jim Shamp from UBC. The data we have of
9 these 24 instances throughout the years, we don't
10 have it broken down at this time by specific types
11 of patient categories, but of the four documented
12 cases recently, we had one case in year 14, where
13 it was a 19-year-old male that shared drug; two
14 cases in year 13, both again male; and then in
15 year 12, we had one case where it was an
16 18-year-old male patient that shared drug with
17 another person. Thank you.

18 If I can just also add that we do believe
19 these numbers of the sharing of the drug are
20 underreported because they are only spontaneous
21 reports. Thank you.

22 DR. LO RE: Great. Thank you.

1 DR. DELOST: Thank you.

2 DR. LO RE: Dr. Delost, any other questions?

3 DR. DELOST: No, not at this time. It took
4 me a while to unmute there.

5 DR. LO RE: Thank you.

6 Dr. Salvas?

7 DR. SALVAS: Thank you. Brian Salvas from
8 CVS Health.

9 Three questions. The first is around health
10 equity and how might we consider disparate outcomes
11 by demographics as part of our evaluation of the
12 effectiveness of this program. It seems like from
13 the data that's been shared, we have a very
14 effective program, but I do wonder about the folks
15 that were not necessarily helping.

16 Question number two is about technology
17 enablement, and the call center data sort of
18 triggered this for me. How might improved
19 technology improve the provider, patient, pharmacy
20 experience? I'm thinking about highly effective
21 self-service tools in the digital age that we're
22 in.

1 Then third, related to the survey shared
2 earlier on the evaluation of the effectiveness of
3 the program, particularly the question around
4 pharmacists understanding the distribution
5 guardrails of these products, has IPMG considered
6 the central nature of REMS compliance for larger
7 players like myself, which are very common in the
8 chain drug world, and potentially changing the way
9 that they're looking to assess that sort of
10 performance.

11 MR. SHAMP: Jim Shamp from UBC. To address
12 your first question about the health equity, the
13 iPLEDGE REMS does not restrict a prescriber's or
14 pharmacy's participation in the REMS. That is up
15 to them to participate, so we have no restrictions
16 on any sort of location, so I don't think we can
17 provide any additional information on that.

18 On your question about the technology, if
19 you could expand for me to help me understand a
20 little bit your comment about self-service tools.
21 Can you give me an idea of what you're talking
22 about, please?

1 DR. SALVAS: Sure. I would imagine a world
2 where we would likely not want to have anyone
3 reaching out to a call center. That would sort of
4 be a North Star, at least for me. So I'm curious.
5 Are there additional opportunities to leverage
6 digital tools to help enable improved experience
7 that solve for some of the patient and provider
8 friction points?

9 MR. SHAMP: We certainly do have the ability
10 to do some self-service on the website. All the
11 functionality of the REMS is available on the
12 website as well, so there is not a need to call a
13 call center. You can do everything on the website.
14 Specific self-service things such as password reset
15 are also available, so there is some of that
16 available today, and we'd certainly appreciate any
17 suggestions that we could further discuss with the
18 agency and stakeholders on that.

19 Then on your pharmacy understanding, if you
20 could just clarify your question. I didn't quite
21 understand what your question is.

22 DR. SALVAS: There was one particular

1 question in the REMS program brief provided earlier
2 this morning related to survey questions provided
3 two different provider groups to gauge provider
4 understanding of key program elements. One of
5 those questions was related to the pharmacists
6 understanding some of the guardrails around only
7 procuring from enrolled distributors and things
8 like that.

9 In a world that we practice in, a lot of
10 that activity is not being housed within the actual
11 pharmacy itself. It's being handled centrally, so
12 to me it explains the potential gap that a bench
13 pharmacist may have in understanding those program
14 elements. So I'm just curious. Assuming that this
15 is a benchmark that you're going to want to
16 continue to go after, are there other ways to get
17 the appropriate signal there without having to
18 compromise our understanding of how the programs
19 work?

20 MR. SHAMP: Yes. Jim Shamp from UBC. If we
21 can have slide up, please?

22 I think this is the slide you were referring

1 to, and specifically, the key risk message specific
2 to how isotretinoin product should be obtained from
3 a registered wholesaler and not be shared. So you
4 provide an interesting thought and idea. This is
5 part of the knowledge assessment and behavior
6 survey that is conducted as part of the iPLEDGE
7 REMS assessment, and we do out -- and this is all
8 done confidentially. We don't know who the
9 responders are. But I think what you're suggesting
10 here is that perhaps we should not be contacting
11 necessarily -- I think you refer to them as the
12 bench pharmacists, but perhaps to something more
13 centralized.

14 Am I understanding your thought correctly?

15 DR. GREEN: That's where I'm going to, where
16 essentially, particularly for many of the larger
17 chain players, these sorts of activities in
18 compliant to the REM program guardrails are
19 happening outside of the four walls of any
20 individual pharmacy.

21 MR. SHAMP: Again, we appreciate that
22 thinking, it's an interesting idea, and we'd be

1 happy to discuss this and another ideas around this
2 with the agency and stakeholders. Thank you.

3 DR. GREEN: Thank you.

4 One last clarifying question on the health
5 equity piece, I understand every pharmacy can
6 enroll and any provider can enroll. I guess where
7 I'm going is, are the elements of the program
8 itself -- they seem to be delivering appropriate
9 outcomes based off of the guiding principles of the
10 program to eliminate fetal exposure to this
11 particular drug, but I am curious if we understand
12 there are different populations that may be
13 disproportionately impacted by some of the
14 mechanics of this in terms of not getting access
15 that we should be potentially considering as we
16 ponder future changes to the program.

17 MR. SHAMP: Jim Shamp from UBC. We don't
18 have any data to answer that specific question, but
19 what we do know is that many of these mechanics of
20 the REMS are actually in the labeling themselves,
21 so they're not specific to the REMS. They are
22 requirements that come out of the labeling. So

1 those requirements would exist whether you had the
2 REMS or not.

3 DR. GREEN: Okay. Thank you.

4 DR. LO RE: Dr. Huybrechts?

5 DR. HUYBRECHTS: This is Krista Huybrechts,
6 and I have a question for IPMG. I think I might
7 have misunderstood something, so I was just hoping
8 to clarify. It relates to, again, dropping the
9 requirement for the monthly documentation of the
10 counseling for patients who can't become pregnant.

11 As you were discussing the benefits and the
12 potential disadvantages of the benefits being the
13 lesser burden, I thought that with one of the
14 potential risks, you mentioned that they could now
15 potentially then get their prescription from
16 different providers, different prescribers. If I
17 understood that correctly, I was hoping whether you
18 could clarify how that would happen in the sense
19 that they still need to go back to their provider
20 monthly to get their new prescription.

21 So I was just wondering how would the
22 mechanism work that they would now be able to get

1 prescriptions from different providers, if I
2 understood it correctly.

3 MR. SHAMP: Jim Shamp from UBC. I will ask
4 Dr. Wedin to respond to this question. First, I
5 just want to clarify. I'm not sure if I just
6 didn't hear you right. This proposal is specific
7 to patients who cannot become pregnant.

8 DR. HUYBRECHTS: Yes.

9 MR. SHAMP: Dr. Wedin?

10 DR. WEDIN: Greg Wedin, Upsher-Smith
11 Laboratories. The concern we have is under the
12 proposed modification to change the required
13 interval or documenting confirmation every 120 days
14 is there's a period of time there where the patient
15 will receive several prescriptions or may
16 potentially receive several prescriptions. It is
17 possible, for example, that a patient after that
18 initial confirmation by the certified prescriber,
19 that after a month, the patient may decide that
20 maybe there's an easier way to get the prescription
21 filled.

22 Perhaps their primary care provider is

1 someone they would turn to, and say, "I saw the
2 dermatologist. They started me on isotretinoin.
3 They said I would need to be on therapy for perhaps
4 3, or 4, or 5 months, and rather than having to
5 visit the dermatologist, could I receive a
6 prescription from you?" That prescriber may not be
7 enrolled in the REMS program. They may not
8 understand the risks or the requirements of the
9 program. But if that prescriber were to provide a
10 prescription to the patient, or send a prescription
11 to the pharmacy, the pharmacy wouldn't detect that,
12 and the prescription could be dispensed without the
13 knowledge of the certified prescriber who started
14 the patient on isotretinoin. So that's one of the
15 primary concerns.

16 DR. HUYBRECHTS: Could I ask you a quick
17 follow-up question? In the example you gave, the
18 primary care provider would still have to register
19 with the REMS system before he or she could issue
20 the prescription, right? So if that is the case,
21 are you saying that at the iPLEDGE level, it can be
22 detected that it's basically the same patient

1 getting prescriptions from two different providers
2 that are registered with the system?

3 MR. SHAMP: Jim Shamp from UBC. If I could
4 try to add something here that might help clarify
5 the situation. Right now, the way the system works
6 with the monthly confirmation, that monthly
7 confirmation has to be performed by a certified
8 prescriber. So in order to get drug today, you
9 have to be confirmed, which provides a one-to-one
10 linkage between the confirmation by a certified
11 prescriber to the dispensing.

12 If we move the confirmation interval out to
13 once every 120 days, the subsequent authorizations
14 for that patient after that initial one will no
15 longer have that one-for-one link to a certified
16 prescriber. So in that case, any prescriber could
17 be writing that prescription, and we no longer know
18 for those intervals that the patient is under the
19 care of a certified prescriber.

20 DR. HUYBRECHTS: Thank you. That is
21 helpful. No further questions for my end.

22 DR. LO RE: Thank you.

1 Dr. Cowen, you have your hand up.

2 DR. COWEN: Thank you. I'd like to circle
3 back to one of the earlier comments about burden of
4 doing a home pregnancy test, as that would relate
5 to what was done during the pandemic, and make the
6 comment that I think it's important that we are
7 forward thinking in terms of several people have
8 mentioned telemedicine, and this sort of acne
9 specific follow-up in particular is very well
10 suited to the digital platform.

11 So certainly the suggestion to do urine
12 pregnancy tests when patients are in the office
13 would be very reasonable, but I would like to see
14 the data for patients, and often parents, and
15 whether or not it's really considered a burden to
16 do a urine pregnancy test at home compared to going
17 to a dedicated lab. Most dermatology offices don't
18 have labs, so it's a second visit with the child
19 often taken out of school and parents taking off
20 work to do this. So I think that question of
21 reducing burden and then thinking about in-office
22 visits versus things that can be done digitally is

1 something that I would encourage the group to think
2 about.

3 MR. SHAMP: Jim Shamp from UBC. As far as
4 telemedicine goes, the sponsors are aligned with
5 the use of telemedicine, provided all of the
6 elements to assure safe use and conditions are met
7 for that. And you heard Dr. Wedin state earlier
8 that we'd certainly be interested in studying data
9 that would show us whether or not the home
10 pregnancy tests could actually reduce burden and
11 continue to be safe and help us to prevent the
12 fetal exposure to isotretinoin. Thank you.

13 DR. COWEN: Thank you.

14 DR. LO RE: Dr. Dublin?

15 DR. DUBLIN: Thank you. I just wanted to
16 follow up on a comment made by Dr. Wedin that the
17 provider is already interacting with the patient
18 every month when the patient is requesting their
19 refill. I was wondering if any of the
20 dermatologists on the committee could speak to the
21 clinical necessity of how frequent a follow-up is
22 recommended to check whether the patient is

1 tolerating the prescription and whether you need to
2 adjust the dose.

3 Is that something that would typically
4 happen on an every 30-day cadence?

5 DR. LO RE: Dr. Katz wants to respond.

6 DR. KATZ: In my own practice, 30 days would
7 be a good interval. There was a comment about a
8 90-day prescription previously, and I think just
9 from a clinical perspective, in my practice, that
10 would not be what I would be interested in. There
11 are too many potential side effects and also
12 questions about effectiveness that I'd want to
13 check in every 30 days.

14 DR. DUBLIN: Thank you. That's extremely
15 helpful.

16 I had a comment or question about the health
17 equity issue. I think there were a number of
18 comments from patients in the public comments
19 indicating the need to go anywhere -- whether it's
20 your physician's office or a lab -- to do the
21 pregnancy test was difficult, especially for people
22 who were working and had children they needed to

1 care for.

2 So I just want us to realize, again, this
3 health equity issue, to me it's about the patients
4 who drop out of the system, so a way to look at it
5 is I know you would have to collect data on things
6 like race, or ethnicity, or socioeconomic status,
7 but I do think that there's a disproportionate
8 burden placed on people who don't have jobs, or
9 they can't easily miss work, or who work long hours
10 who can't afford transportation, and we should
11 consider that. Thank you. I'm done with my
12 question.

13 DR. LO RE: Thank you.

14 Mr. Shamp, did you want to comment?

15 MR. SHAMP: Yes. Jim Shamp from UBC. It is
16 important to understand and appreciate the
17 situations of certain patients, and the sponsors
18 certainly appreciate that, but because the issue
19 here of the fetal exposure to isotretinoin is so
20 significant and serious, we do have to balance the
21 burden of these safe-use conditions against the
22 goals of the REMS. Thank you.

1 DR. LO RE: I have 1:00. We're going to
2 take a 30-minute lunch break. I'm going to note we
3 have two -- Dr. Liu and Dr. Hernandez-Diaz -- who
4 have their questions up. We'll start with them
5 when we come back.

6 Panel members, please remember that there
7 should be no chatting or discussion of the meeting
8 topics with other panel members during the break.
9 We're going to reconvene promptly at 1:30 p.m.
10 Eastern Daylight Time. Thanks.

11 (Whereupon, at 1:00 p.m., a lunch recess was
12 taken.)

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:30 p.m.)

DR. LO RE: Alright. We are going to resume, but we have one final presentation at 1:30, now, from Dr. Crist of the FDA, and then we will resume clarifying questions.

FDA Presentation - Lindsey Crist

DR. CRIST: Thank you, Dr. Lo Re.

Good afternoon. My name is Lindsey Crist, and I'm a risk management analyst in the Division of Risk Management in the Office of Surveillance and Epidemiology in CDER, at the FDA. I'm speaking today on behalf of the agency's iPLEDGE review team, which is a multidisciplinary team with subject matter experts on REMS, dermatology, maternal health, as well as other disciplines to provide recommendations on potential modifications to the iPLEDGE REMS.

This presentation will provide the committee with the approach and results from the FDA review team's evaluation of the iPLEDGE REMS, and share our analysis of the REMS requirements and potential

1 modifications that may minimize burden without
2 impacting safety. The presentation will conclude
3 with a summary of topics for which we seek
4 committee discussion and advice.

5 Risk evaluation mitigation strategies, or
6 REMS, are drug safety programs required for certain
7 medications with serious safety concerns to help
8 ensure the benefits of the medication outweigh its
9 risks. Risk mitigation tools and interventions are
10 utilized to reinforce necessary medication-use
11 behaviors and actions that support the safe use of
12 a medication. The required interventions
13 inherently impose burden on the healthcare system
14 and may create unintended barriers to patient
15 access.

16 In the context of a REMS, burden reflects
17 the additional effort that healthcare professionals
18 and other stakeholders, such as pharmacies and
19 patients, expend in complying with REMS
20 requirements. Risk mitigation will always involve
21 some degree of burden to achieve the goal of safe
22 use of a medication.

1 REMS-related burden can potentially impact
2 the healthcare system and its stakeholders. There
3 could be prescribing preferences for therapies
4 without a REMS. Prescribers and pharmacists may be
5 required to work outside of their typical workflow
6 or systems. More administrative time, resources,
7 and staff may be necessary to complete
8 requirements, and there may be barriers to patient
9 access leading to treatment delays or
10 interruptions. Risk mitigation involves a
11 continual process that includes program assessment
12 and modifications or changes when needed. The
13 agency seeks to minimize burden and prevent
14 unintended access issues whenever possible for
15 REMS.

16 This meeting provides an opportunity to
17 consider changes to the iPLEDGE REMS to improve
18 efficiency and minimize burden without compromising
19 the safe-use goals of the REMS. The review team
20 completed a systematic evaluation of all iPLEDGE
21 elements to assure safe use and requirements. The
22 purpose was to assess the requirements for all

1 participants and consider if there were any
2 opportunities to modify the REMS to minimize
3 burden, to the extent possible, without impacting
4 safe use of isotretinoin.

5 Although all requirements for all
6 participants were evaluated, the review team
7 focused the analysis on requirements that may
8 contribute to stakeholder burden, cause unintended
9 patient access issues, or cause treatment gaps and
10 delays. Although the iPLEDGE REMS was described in
11 detail, the next few slides are intended to help
12 focus as we shift to discussing the approved REMS
13 requirements, the review team's analysis, and the
14 proposed changes.

15 The goals of the REMS are to prevent fetal
16 exposure to isotretinoin and to inform prescribers,
17 pharmacists, and patients about isotretinoin's
18 serious risks and safe-use conditions. It is
19 important to keep these goals at the forefront when
20 considering any changes to the REMS.

21 Given the goal of preventing fetal exposure,
22 prescribers must assess the reproductive potential

1 of patients prior to starting therapy and assign
2 the appropriate patient risk category. The REMS
3 modification, approved in October 2021, updated the
4 patient risk categories from three into two
5 categories that utilize gender-neutral language
6 throughout the REMS. The intent was to remove
7 barriers to patient access and create a more
8 inclusive REMS.

9 There are now two categories. The first
10 category is patients who can become pregnant. This
11 includes cisgender females and transgender males.
12 The second category is patients who cannot become
13 pregnant. This includes cisgender males, cisgender
14 females, and transgender males who have undergone a
15 hysterectomy, bilateral oophorectomy, or who are
16 postmenopausal; and transgender females.

17 Assessment of the appropriate risk category
18 is completed by the prescriber at enrollment and
19 documented on the patient enrollment form. It
20 should be reassessed throughout the treatment
21 course and for any future courses. The assigned
22 risk category must be accurate, as the REMS

1 requirements differ based on the category.

2 This slide represents a high-level overview
3 of the iPLEDGE REMS, its key stakeholders, and
4 requirements. The iPLEDGE REMS is a web-based,
5 centralized system that requires participation and
6 enrollment of prescribers, patients, pharmacies,
7 and wholesalers to ensure safe use and to achieve
8 the program goals. Prescribers and pharmacies must
9 be certified and attest to understanding the REMS
10 requirements. The iPLEDGE REMS links completion of
11 REMS requirements to dispensing. Pharmacies must
12 obtain authorization, known as the risk management
13 authorization, or RMA, prior to each dispense of
14 isotretinoin for all patients, regardless of
15 patient risk category.

16 There are specific safe-use requirements for
17 patients who can become pregnant, outlined here in
18 purple on the left side, and for patients who
19 cannot become pregnant, outlined in teal on the
20 right side. The timing of requirements spans from
21 before treatment, during treatment, which typically
22 lasts about 15 to 20 weeks, as well as after the

1 treatment course ends. Note that the requirements
2 for patients who can become pregnant are more
3 extensive given that these patients have a direct
4 risk of fetal exposure. The REMS requires
5 pregnancy testing and actions within iPLEDGE by
6 both the prescriber and the patient. Patients who
7 cannot get pregnant are enrolled and get counseled
8 monthly but are not required to interact with the
9 iPLEDGE system each month.

10 Isotretinoin may not be dispensed without
11 verification that all safe-use requirements are
12 met. When a pharmacist receives a prescription,
13 they must access iPLEDGE to obtain authorization to
14 dispense. The risk management authorization, or
15 RMA, is a unique number generated by the REMS that
16 verifies that all safe-use requirements are met,
17 and isotretinoin may be dispensed.

18 Depending on the patient risk category,
19 there are several safe-use requirements that must
20 be completed each month by the prescriber or
21 patient. If any of the requirements are not met,
22 the RMA will be denied. If more than one

1 requirement is not verified, there may be multiple
2 denials. An RMA denial may result in potential
3 delays in therapy, depending on the time necessary
4 to complete the requirements; however, the
5 prescription may ultimately be authorized and
6 dispensed without delay when evidence of missing
7 requirements is documented.

8 The presentation will provide the review
9 team's analysis on select requirements from the
10 iPLEDGE REMS. First, we will review the current
11 REMS requirement, discuss the relevant background
12 information to provide context, and summarize our
13 recommendations with rationale. For certain
14 topics, we will highlight where we seek the
15 committee's advice and considerations for
16 discussion.

17 The first REMS topic is the patient
18 enrollment requirement. iPLEDGE requires all
19 patients to be enrolled in the REMS regardless of
20 their assigned patient risk category. The review
21 team recommends that all patients should continue
22 to be enrolled in the REMS and complete

1 requirements prior to initiation regardless of the
2 risk category.

3 Enrollment of all patients, including
4 patients who cannot get pregnant, ensures that
5 prescribers are required to assess and document the
6 patient risk category within iPLEDGE at treatment
7 initiation and throughout therapy if there are
8 changes. It is imperative that the risk category
9 is accurate, as the REMS requirements differ based
10 on the category, and it is important to ensure the
11 appropriate safe-use requirements are applied.

12 Another aspect to consider is from the
13 perspective of the pharmacy. Pharmacists cannot
14 determine patient risk categories based on a
15 patient name on a prescription or the perceived sex
16 when a person presents to the pharmacy. Enrollment
17 of all patients results in a single process for
18 pharmacy dispensing without having to establish
19 different policies and procedures based on the
20 patient category. Changes to this process could
21 increase burden on pharmacies and lead to
22 confusion, as pharmacists must work outside of the

1 typical workflow to access iPLEDGE and obtain
2 authorization for each prescription.

3 The next topic relates to limiting the
4 prescription days' supply. A maximum of 30-day
5 supply with no refills may be prescribed and
6 dispensed. The review team recommends isotretinoin
7 prescriptions continue to be limited to a 30-day
8 supply for all patients. The 30-day supply limit
9 ensures that patients are required to be evaluated
10 by a prescriber on a monthly basis and ensures that
11 safe-use requirements are verified each month.

12 Limiting the supply should also minimize
13 leftover or unused medication that the patient
14 could use at a later time without being under the
15 care of a prescriber or could share with friends or
16 family members. A range of 1-to-8 pregnancies each
17 year were attributed to a person taking leftover or
18 unused medication.

19 In iPLEDGE, all patients are required to be
20 counseled by prescribers on the risks of
21 isotretinoin and the REMS requirements at treatment
22 initiation and monthly throughout therapy. For

1 patients who can become pregnant, patients are also
2 counseled on contraception options by their
3 prescriber or are referred for contraception
4 counseling. Prescribers are required to document
5 that counseling was completed each month. This
6 administrative step is referred to as confirmation
7 of counseling, and it involves the prescriber or a
8 designee on behalf of the prescriber accessing the
9 iPLEDGE system and documenting that counseling was
10 completed.

11 For patients who can become pregnant, this
12 counseling confirmation step is only one component
13 of the required prescriber documentation.
14 Prescribers are also required to document the
15 pregnancy test results and the patient's selected
16 contraception methods each month.

17 A screenshot of the prescriber view for
18 confirmation of counseling is shown here. The
19 prescriber is asked to select a box confirming that
20 they have counseled the patient and that the
21 patient understands and is capable of complying
22 with the requirements; however, additional

1 prescriber documentation is necessary before the
2 patient, who can become pregnant, is eligible to
3 receive isotretinoin.

4 This screenshot depicts the prescriber view
5 of the remainder of prescriber documentation
6 required for patients who can become pregnant. It
7 includes entering the patient's contraception
8 methods each month via the dropdown box, the
9 pregnancy test results, and the relevant diagnosis;
10 that is whether the patient is pregnant or not.

11 After consideration of the confirmation of
12 counseling requirement, the review team recommends
13 maintaining the monthly prescriber documentation of
14 counseling in iPLEDGE for patients who can get
15 pregnant. As described, this is only one component
16 of the required prescriber documentation for this
17 patient category, and it does not result in a
18 significant amount of additional burden for
19 prescribers. Regular reminders to counsel support
20 the goal of informing patients on the safety risks
21 and requirements. From a patient access
22 perspective, prescribers failing to complete this

1 confirmation of counseling step represents only
2 11-to-14 percent of RMA denials for this patient
3 risk category.

4 As described, all patients, including
5 patients who cannot get pregnant, are required to
6 be counseled. As a requirement of the REMS,
7 patients who cannot become pregnant are counseled
8 on the risks of isotretinoin and the need to avoid
9 sharing medication or donating blood, as this could
10 result in fetal exposure. Prescribers must
11 document this counseling in the iPLEDGE monthly.

12 This website screenshot shows the prescriber
13 view of confirmation of counseling for patients who
14 cannot get pregnant. Of note, only the prescriber
15 is required to interact with the iPLEDGE REMS on a
16 monthly basis. Once this step is complete,
17 patients who cannot become pregnant are considered
18 eligible to receive isotretinoin. Patients who
19 cannot get pregnant do not need to complete
20 additional steps or interact with the iPLEDGE
21 monthly before obtaining their prescription.

22 After consideration of the confirmation of

1 counseling requirement for patients who cannot
2 become pregnant, the review team determined that
3 monthly documentation of counseling was not
4 necessary. We seek advice from the committee on
5 the option to extend the interval for
6 documentation; for example, to every 120 days, as
7 proposed by the IPMG, or whether documentation of
8 counseling at enrollment is sufficient and that the
9 monthly documentation requirement could be removed.

10 As described, this as an administrative step
11 that requires prescribers or designees to move out
12 of their typical workflows to access iPLEDGE and
13 check a box to document that counseling was done,
14 counseling on the risks of isotretinoin and the
15 importance of not sharing medication or donating
16 blood. In addition, counseling for other risks not
17 included in the REMS should still occur monthly by
18 prescribers, but given that this patient risk
19 category is not directly at risk for fetal
20 exposure, removal of this documentation step can
21 minimize administrative burden without impacting
22 safe use of isotretinoin.

1 When considering patient access, failure to
2 complete this documentation step is the most common
3 reason for RMA denials for patients who cannot
4 become pregnant. By removing or extending the
5 interval, it could eliminate up to 78 percent of
6 RMA denials for patients who cannot become
7 pregnant. This could reduce calls from pharmacists
8 to prescribers to resolve the denial and may lead
9 to a reduction in treatment delays or gaps for this
10 patient risk category.

11 The presentation will now focus on the
12 requirements that only impact patients who can
13 become pregnant. Appropriate contraception is an
14 important tool to prevent pregnancy during the
15 course of therapy. iPLEDGE requires patients who
16 can become pregnant to use two forms of
17 contraception consistently, starting at least
18 30 days prior to treatment initiation, throughout
19 treatment, and for 30 days after treatment.

20 The iPLEDGE REMS specifies the appropriate
21 contraception methods patients may select while on
22 therapy. Patients are counseled on options and are

1 required to select a primary method and a secondary
2 method. iPLEDGE does allow patients who can become
3 pregnant to commit to continuous abstinence as a
4 lifestyle choice, and this is defined as not having
5 sexual contact with a partner that could result in
6 pregnancy.

7 Contraception choice is patient dependent
8 and based on a variety of factors. Abstinence is
9 consistently in the top two choices. The most
10 common contraception choices are consistently birth
11 control pills as the primary form, and male condoms
12 is the secondary form. As our maternal health
13 expert presented earlier, these are user dependent
14 and have more potential for imperfect use. Despite
15 requiring two forms of contraception, the most
16 common reasons cited by prescribers for unintended
17 pregnancy were not using two forms of
18 contraception, contraceptive failure, and
19 unsuccessful abstinence. Given the contraception
20 failure rates, the data on the top contraception
21 choices by patients in this program, and the
22 typical length of therapy of only 15 to 20 weeks,

1 we are not recommending changes to this requirement
2 at this time.

3 One of the steps in the prescription
4 authorization process each month for patients who
5 can become pregnant is that the prescriber and
6 patient enter the patient's two forms of
7 contraception into the iPLEDGE system or document
8 the patient's commitment to abstinence. The
9 primary form of contraception selected by the
10 prescriber must match the patient's choice. If the
11 primary forms do not match, the RMA will be denied,
12 and the prescription cannot be authorized until the
13 mismatch is resolved.

14 Although documenting contraception methods
15 and requiring alignment each month may be perceived
16 as an administrative burden, this requirement
17 promotes continued communication regarding the
18 importance of contraception, as well as
19 re-evaluation of contraception choices between the
20 prescriber and patient throughout therapy. It
21 facilitates discussion related to possible changes
22 in contraception to a new choice and is also a way

1 to reassess the patient's commitment to abstinence.

2 The review team recommends maintaining this
3 requirement. We consider data from assessment
4 reports on the reasons for unintended pregnancy.

5 In addition, since prescribers and patients
6 interact with iPLEDGE monthly, for other
7 requirements, this step does not result in
8 increased burden on prescribers or patients.

9 This slide provides a visual overview of the
10 required pregnancy tests for isotretinoin. The
11 stars represent required pregnancy tests over a
12 time line that is not to scale but is intended to
13 highlight the testing requirements prior to
14 treatment, during treatment, and after treatment.

15 Prior to starting isotretinoin, patients who
16 can become pregnant must have two negative urine or
17 serum pregnancy tests. The first test is a
18 screening test, and it is completed at the time of
19 enrollment. Patients may only be enrolled in the
20 program if this first test is negative. At this
21 time, the patient begins two forms of appropriate
22 contraception at least 30 days prior to starting

1 therapy or commits to continuous absence.

2 A second test known as the confirmatory test
3 is also required before starting isotretinoin, and
4 this test must be performed in a CLIA-certified
5 laboratory, and the interval between this test and
6 the screening test must be at least 19 days.

7 Labeling also specifies that for patients with
8 regular menstrual cycles, the confirmatory test
9 should be obtained within the first 5 days of the
10 menstrual period. For patients without regular
11 cycles, the confirmatory test should be completed
12 immediately preceding the beginning of therapy.

13 Pregnancy tests are also required each month
14 throughout therapy using a CLIA-certified
15 laboratory so that a negative test is confirmed
16 before dispensing. After a treatment course, two
17 pregnancy tests are required, one at the end of
18 treatment and an additional test one month later,
19 as fetal risk persists for up to 30 days after
20 discontinuation.

21 The iPLEDGE REMS requires pregnancy testing
22 using a CLIA-certified laboratory. This

1 requirement was originally put in place to reduce
2 the likelihood of laboratory error for pregnancy
3 tests and to eliminate the possibility that a home
4 pregnancy test would be ordered but not performed.
5 The Clinical Laboratory Improvement Amendments of
6 1988 established quality standards for laboratory
7 testing to ensure accuracy, reliability, and
8 timeliness of results regardless of where a test
9 was performed. The regulations and required
10 certifications are based on test complexity, with
11 more stringent requirements for more complex tests.

12 CLIA-waived tests are considered simple
13 laboratory examinations and procedures that have an
14 insignificant risk of an erroneous result when used
15 according to the manufacturer instructions. Most
16 urine pregnancy tests are intended for
17 over-the-counter use and are CLIA-waived by
18 regulation, which means that they are simple to use
19 with low risk of erroneous results. These
20 over-the-counter, CLIA-waived tests are designed
21 for use by lay users and may be performed or used
22 outside of a CLIA-certified laboratory; for

1 example, at a patient's home or in a provider's
2 office.

3 The review team will provide our analysis on
4 the following topics related to pregnancy testing,
5 setting, and timing of pregnancy tests. The first
6 topic related to pregnancy testing is on the
7 requirement for the use of a CLIA-certified
8 laboratory for the confirmatory pregnancy test and
9 all subsequent tests.

10 Although the program requires testing using
11 a CLIA-certified laboratory, this requirement
12 results in additional burden for patients.
13 Patients may be required to have a separate
14 laboratory visit just for pregnancy testing, and
15 this results in additional time and cost to the
16 patient. This also adds complexity for completing
17 all requirements within the designated 7-day window
18 each month. The review team recommends removal of
19 the requirement to only use a CLIA-certified
20 laboratory for pregnancy tests. We recommend
21 allowing pregnancy testing to be performed in a
22 provider's office as an alternative.

1 Urine pregnancy tests are CLIA-waived by
2 regulation and must meet specific performance
3 criteria; therefore, safe use should not be
4 impacted. Removal of this requirement should
5 increase flexibility for patients by reducing the
6 need for separate office and laboratory visits and
7 may improve the patient's experience.

8 The COVID-19 public health emergency
9 resulted in changes to the iPLEDGE requirements
10 over the past three years to ensure access to
11 isotretinoin therapy was maintained. Consistent
12 with the agency's guidance regarding REMS
13 requirements during the public health emergency,
14 the iPLEDGE REMS allowed the use of home pregnancy
15 tests to minimize potential access issues since
16 patients needed flexibility due to self-isolation
17 and quarantine policies.

18 At-home pregnancy testing was allowed as
19 long as results were communicated to the
20 prescriber. The process consisted of the
21 following: the patient performs a pregnancy test
22 at home using an over-the-counter test; the patient

1 communicates the pregnancy test result and date of
2 the test to the prescriber; and then the prescriber
3 enters the result and date into iPLEDGE per the
4 usual process. No other changes were made to other
5 requirements, such as the prescription window or
6 contraception during the public health emergency.

7 The agency recognizes that there are
8 potential benefits associated with home pregnancy
9 testing, especially when utilized with telemedicine
10 on the patient experience and associated time and
11 costs with office visits, laboratory visits, and
12 missed work. However, the review team does not
13 recommend the continued use of home pregnancy
14 testing beyond the public health emergency.

15 There is insufficient data to fully
16 understand the extent of home pregnancy testing
17 during the public health emergency. iPLEDGE
18 collects pregnancy results; however, the system
19 does not have a mechanism to collect where and how
20 the test was performed; therefore, there are no
21 available comprehensive data on how many of the
22 required tests over the past three years were

1 completed outside of CLIA laboratories or using
2 home tests.

3 Although the reported pregnancy rates are
4 comparable to previous years, it is difficult to
5 make conclusions without fully understanding the
6 extent of home pregnancy test use. In addition,
7 published literature of experiences during the
8 public health emergency have identified
9 non-compliance, including intentional falsification
10 of results by patients.

11 One study at an academic medical center
12 reviewed the medical records of 89 patients who
13 used home pregnancy tests while taking isotretinoin
14 during the public health emergency. It found that
15 15.7 percent of patients submitted falsified
16 pregnancy test results. Examples of falsification
17 included the use of stock images from the internet,
18 repeated use of the same pregnancy test result, and
19 editing previous test images. An additional case
20 series of 7 patients was published at another
21 center, demonstrating falsification of tests as a
22 potential safety issue.

1 Though there are benefits of home pregnancy
2 testing, we do not have sufficient evidence in
3 whether home pregnancy testing impacts the safe use
4 of isotretinoin. The review team does not
5 recommend continued home testing at this time;
6 however, we seek additional committee discussion
7 and advice on this topic.

8 iPLEDGE requires that patients who can
9 become pregnant complete all safe-use requirements
10 and obtain the prescription within a 7-day
11 prescription window, starting from the day the
12 pregnancy test is performed. This window is a
13 finite time period, and if requirements are not
14 complete or if the patient fails to pick up the
15 prescription on time, the prescription is no longer
16 valid, and the process must be restarted, including
17 repeating a new pregnancy test. Patients cannot
18 have an unlimited pickup time, as it is important
19 to have a negative pregnancy test result in close
20 proximity prior to each dispense for the safe use
21 of isotretinoin.

22 Concerns have been raised about the

1 challenges of completing all requirements in
2 obtaining the prescription within the 7-day window,
3 especially with the first prescription. This
4 involves coordination of office visits, laboratory
5 visits, and completion of prescriber documentation,
6 patient comprehension testing, as well as allowing
7 time for pharmacy dispensing and patient pick up.
8 However, the IPMG has provided data that show the
9 median time for picking up the first prescription
10 is 2 days -- that is on day 2 of the prescription
11 window -- and the mean ranged from 2.31 to
12 2.44 days.

13 Data also show that 80-to-85 percent of
14 patients who can become pregnant are able to pick
15 up the first prescription within the 7-day window.
16 Based on this data and the need to ensure that
17 patients are not pregnant prior to dispensing, the
18 review team recommends to maintain the 7-day
19 prescription window.

20 iPLEDGE requires that patients who can
21 become pregnant enter a 19-day lockout -- this is
22 also referred to as the 19-day wait period -- if

1 the first prescription is not picked up or obtained
2 within the first 7-day window. The 19-day lockout
3 has garnered much attention and confusion. It
4 causes a delay in treatment initiation, which
5 results in patient's frustration, additional time,
6 as well as costs. This slide provides a visual to
7 better understand the 19-day lockout and time
8 frames.

9 As you can see, the stars reflect pregnancy
10 tests and the gray bar reflects time. iPLEDGE
11 requires that patients who can become pregnant pick
12 up their prescription within the 7-day window.
13 This window begins on the date that the
14 confirmatory pregnancy test specimen was collected.
15 During the window, patients and prescribers
16 complete the safety requirements and the pharmacy
17 is required to obtain authorization and dispense
18 prior to the end of the window.

19 If requirements are not complete, or the
20 patient does not obtain the medication within this
21 time frame for the first window, the patient will
22 enter the 19-day lockout or the 19-day wait period.

1 This means the patient must wait 19 days from the
2 date of the confirmatory pregnancy test prior to
3 repeating a new test and completing the other
4 requirements to open a new prescription window.

5 It is important to understand that this 19-
6 day lockout for missing the window only applies to
7 the first prescription. It is intended as an
8 additional layer of screening to detect pregnancy
9 and prevent exposure to isotretinoin. For
10 subsequent months, there is no waiting period, and
11 the patient may restart the process to obtain a new
12 prescription immediately.

13 The review team recognizes the importance of
14 evaluating the 19-day lockout requirement, as it
15 can result in a delay for starting isotretinoin
16 therapy for patients who can become pregnant. We
17 also recognize the importance of requirements that
18 ensure patients are not pregnant prior to starting
19 treatment with a teratogen. The review team seeks
20 advice from the committee on whether to retain or
21 change the 19-day lockout, given the goal of the
22 program is to prevent fetal exposure to

1 isotretinoin.

2 There are a few important considerations
3 when determining the need for a 19-day lockout. It
4 is intended to prevent pregnancy exposure when
5 there is a delay in obtaining the prescription, as
6 it is important to have an accurate pregnancy test
7 result before starting therapy. This period is the
8 last opportunity to detect pregnancy and prevent
9 exposure. At least 12 pregnancies have been
10 identified during the 19-day lockout, from March
11 2017 through September 2022. Since patients have
12 not started isotretinoin, this is likely to be an
13 underestimate, as some patients may not report the
14 pregnancy or may not return to restart the process
15 for isotretinoin therapy.

16 When evaluating this requirement, the team
17 also considered the impact on patient access.
18 Supplemental data from the IPMG report that
19 15-to-20 percent of patients who can become
20 pregnant miss the first 7-day window and enter this
21 lockout; 170, 311 people entered the 19-day
22 lockout, resulting in a delay to starting therapy.

1 This represents about 15.6 percent of the total
2 patients who can become pregnant who obtained at
3 least one RMA.

4 The 19-day lockout is intended as an
5 additional layer of screening to detect pregnancy,
6 and it is the last opportunity to prevent exposure
7 before treatment is started. However, as discussed
8 by my maternal health colleague earlier this
9 morning, there is a delay from conception to when a
10 pregnancy is detectable; therefore, there will
11 always be the potential to miss pregnancies if
12 conception occurs close to initiation of treatment.
13 We acknowledge the importance of striking an
14 appropriate balance between safety and the impact
15 these requirements may have on treatment delays and
16 patient access.

17 The last pregnancy testing topic is the
18 requirement for post-treatment pregnancy tests.
19 Post-treatment pregnancy tests consist of a
20 pregnancy test at the end of treatment and 30 days
21 later. The review team recommends maintaining
22 post-treatment pregnancy tests since the risks

1 associated with fetal exposure remain for up to a
2 month after completion of a course of therapy.

3 Although assessment data show high knowledge
4 of the need for pregnancy testing after treatment
5 ends, many patients do not complete these tests.
6 Approximately 14 percent of patients were marked by
7 their prescriber in iPLEDGE as having completed
8 either the first or second post-treatment pregnancy
9 test, and only 5.36 percent were marked as
10 completing both post-treatment pregnancy tests.
11 These are important tests given the persistent risk
12 of fetal exposure; however, it is difficult to
13 incentivize patients to return for these tests, as
14 patients no longer need medication, and these
15 visits result in additional time commitments and
16 costs.

17 Despite the recommendation to continue
18 contraception or abstinence for at least 30 days
19 after treatment ends, pregnancies are still
20 reported during this period. From March 2021
21 through December 2021, 16 of the 184 total
22 pregnancies reported occurred within 30 days of

1 stopping isotretinoin therapy. Given the rates of
2 non-compliance with post-treatment testing, this
3 may be an underestimate of the actual pregnancies
4 in this time frame.

5 iPLEDGE is required to maintain a
6 centralized pregnancy registry for patients who
7 become pregnant. Pregnancies may be reported to
8 the registry, the REMS call center, or may be
9 identified by a positive pregnancy test result
10 entered into the iPLEDGE REMS system. All reports
11 of pregnancy are investigated; however, patient and
12 healthcare provider participation in the registry
13 is voluntary and requires consent.

14 The pregnancy registry objectives include
15 the following: first, to determine isotretinoin
16 exposure status for each reported pregnancy;
17 second, to document the outcome of each
18 isotretinoin exposed pregnancy; and third, to
19 determine, document, and analyze causes
20 contributing to fetal exposure, a root cause
21 analysis.

22 The pregnancy registry collects data from

1 the iPLEDGE system and through interviews of the
2 patient and provider, if they provide consent. A
3 questionnaire is used to conduct the root cause
4 analysis interviews for pregnancies that occur.
5 Data collection includes an initial interview
6 follow-up at 30 days, as well as each trimester;
7 outcome of the pregnancy and data; and any live
8 births with follow-up for up to 1 year of age for
9 the infant.

10 The review team considered the pregnancy
11 registry objectives when evaluating this
12 requirement and the data the registry provides. We
13 determined that pregnancy exposure data is valuable
14 for continued assessment to track pregnancies over
15 time. This metric is important given the goal of
16 the REMS is to prevent fetal exposure. Tracking
17 pregnancy exposure rates is also important to
18 evaluate the impact of any REMS modifications. In
19 addition, the root cause analysis is helpful to
20 identify contributing factors to pregnancy exposure
21 and possible areas of improvement for the REMS.
22 The registry goal also includes documentation of

1 pregnancy outcomes and fetal outcomes, if
2 applicable.

3 Isotretinoin is a well-known teratogen, and
4 there is extensive knowledge about its teratogenic
5 effects. Continued collection of pregnancy outcome
6 data is limited and may not be adding much to the
7 current knowledge. In addition, patient privacy
8 concerns regarding outcome data may impact
9 participation. We seek committee advice on ways to
10 streamline the pregnancy registry to encourage more
11 participation to yield high-quality data, and in
12 particular, whether pregnancy and infant outcome
13 data collection continues to be necessary.

14 This concludes the iPLEDGE review team's
15 analysis of the REMS and potential modifications to
16 minimize burden without compromising safety. The
17 team provided the following recommendations: to
18 continue to require all patients be enrolled into
19 iPLEDGE regardless of risk category and to maintain
20 the 30-day supply limit for all prescriptions.

21 For patients who can become pregnant, the
22 review team recommends maintaining the following

1 requirements: the monthly documentation of
2 counseling; the contraception requirements; monthly
3 documentation of contraception and alignment of the
4 primary contraceptive forms between patients and
5 prescribers; the 7-day prescription window; and
6 post-treatment pregnancy tests. The review team
7 recommends removing the requirement for only using
8 a CLIA-certified laboratory and to allow the use of
9 FDA-cleared pregnancy tests in a prescriber's
10 office as an alternative.

11 The review team seeks additional advice from
12 the committee on the following topics: for
13 patients who cannot become pregnant, whether to
14 extend the interval for prescriber documentation of
15 counseling or remove the requirement to document
16 counseling each month; for patients who can become
17 pregnant, discussion on the use of home pregnancy
18 testing beyond the public health emergency; whether
19 to retain or change the 19-day lockout, also
20 referred to by the IPMG as the 19-day waiting
21 period, when the first prescription window is
22 missed; and lastly, how the pregnancy registry

1 could be streamlined and whether collection of
2 pregnancy and fetal outcome data continues to be
3 necessary for a product with a well-established
4 safety profile.

5 I want to take a moment to acknowledge the
6 hard work of all members of the iPLEDGE review team
7 for their expertise and assistance during the
8 evaluation process, as well as in preparation for
9 the meeting. Our references are outlined on this
10 slide and the following. Thank you for the
11 opportunity to provide our recommendations
12 regarding the iPLEDGE REMS. We look forward to the
13 committee's questions and discussion. Thank you.

14 **Clarifying Questions to Presenters (continued)**

15 DR. LO RE: Thank you, Dr. Crist; appreciate
16 that presentation.

17 We're going to now take clarifying questions
18 for the FDA. Please use the raise-hand icon to
19 indicate if you have a question. Remember to lower
20 your hand by clicking the raise-hand icon again
21 after you have asked your question. Remember that
22 when you're acknowledged, remember to state your

1 name for the record, direct your question to a
2 specific presenter, if it's possible, and if you
3 wish for a specific slide to be displayed, please
4 let us know, and we'll put the slide up, if
5 possible.

6 Finally, it would be helpful to me if you
7 could acknowledge the end of your question with a
8 thank you, or end of a follow-up question with,
9 "That's all for my questions," so we can move on to
10 the next panel members.

11 I want to be respectful to both Dr. Liu and
12 Dr. Hernandez-Diaz because they had their hands up
13 at the end of the last clarifying question section.

14 Dr. Liu, could I call on you first just
15 because you had your hand up?

16 DR. LIU: That's fine.

17 This is Tao Liu. I have a question about
18 the 19-day lockup. There are statistics that
19 reports that during 2017 to 2022, there were
20 12 pregnancies, I would say unplanned or unexpected
21 pregnancies, during the lockout period. During
22 this time, this happened among 173,311 patients.

1 If divided by these two numbers, the pregnancy
2 rates is about 0.07 per 1,000; so this is much
3 lower than the general pregnancy rate for the
4 iPLEDGE system, which is around 1 per 1,000
5 patients who can become pregnant.

6 If you look at this from the
7 cost effectiveness perspective, the cost is we put
8 this huge amount of effort to put this 19-day
9 lockout for patients, for prescribers, and for
10 pharmacists, and by the end, we only identify a
11 small percentage of pregnancies, which is much
12 lower than the entire iPLEDGE pregnancy rates. In
13 this sense, this may not be cost effective.

14 My question is, if we look at it this way,
15 do we think this actually creates an actual burden
16 to all the stakeholders? Maybe this is something
17 we need to consider, whether we still need the
18 19-day lockout. That's my question.

19 DR. LO RE: Dr. Liu, did you have a specific
20 person you wanted to address that to? Is that the
21 agency or IPMG?

22 DR. LIU: The FDA.

1 DR. LO RE: Dr. LaCivita, are you still
2 taking questions for the agency at this time?

3 DR. LaCIVITA: Yes. Thank you.

4 That is a question that we have, the 19-day
5 lockout. We are seeking feedback from the panel on
6 that. We do understand that there is additional
7 burden with a 19-day lockout, and I think you are
8 correct; there are only a few pregnancies that are
9 detected during that time period. However, they
10 are detecting pregnancies, and it is the last
11 opportunity to prevent fetal exposure.

12 I just want to see if Dr. Crist has anything
13 to add to that.

14 DR. CRIST: Thank you, Dr. LaCivita. The
15 only thing that I would like to add is that during
16 that time period, there may be underreporting of
17 pregnancies. Since the patient has not started the
18 drug yet, we may not be capturing all the
19 pregnancies in that time period.

20 DR. LaCIVITA: Thank you.

21 DR. LIU: Can I ask something? Even if we
22 inflate this number by 10, this still is 0.6 per

1 1,000 patients. That's still less than 1 per 1,000
2 of the iPLEDGE system, so even underreporting is
3 still much, much lower than the reporting of 1 per
4 1,000 pregnancy rate.

5 DR. LaCIVITA: This is Cynthia LaCivita from
6 the FDA. Did you want me to take that question?

7 DR. LO RE: Sure, Dr. LaCivita.

8 Could you just clarify; what is the
9 question, Dr. Liu?

10 DR. LIU: Yes. My question is -- I'm
11 referring to page 33. There were 173,311 patients
12 who entered a 19-day lockout from 2017 to 2022.
13 Among these patients, there were 12 pregnancies.
14 The pregnancy rate is 0.06 per thousand.

15 DR. LaCIVITA: I'm not really sure what the
16 question is, but --

17 DR. LIU: This is much lower. Think about
18 the burden we put on this and the yield. We want
19 to identify as many pregnancies as possible given
20 the cost, but look at the entire iPLEDGE. The
21 iPLEDGE is -- I'm looking at slide -- it's from
22 [indiscernible] reports. That's about 1 pregnant

1 per 1,000 patients. That's the entire pledge,
2 right?

3 DR. LaCIVITA: We certainly appreciate your
4 perspective, and this is a topic that we do want
5 advice from the advisory committee. That was one
6 of our, I think, discussion questions, or voting
7 questions, I think. We do understand that there's
8 burden, but we also understand that it's the last
9 opportunity to prevent pregnancy, so I think we
10 look forward to that discussion with the committee.

11 DR. LIU: My comment is, if we look at the
12 effort we put into this and the pregnancy, we can
13 identify from the effort we put in. So if you look
14 at the iPLEDGE for the entire reports, we have
15 about 1 pregnancy -- the pregnancy rate is about
16 1 per 1,000 women who can become pregnant. That's
17 1 per 1,000. That's the statistics. If we look at
18 the yield from the lockout, we have 12 pregnancies
19 from about 170,000 women. That yield is about 0.06
20 per thousand.

21 DR. LO RE: I think what the committee,
22 Dr. Liu, will have to weigh is what is this

1 threshold that would be considered important with
2 which to do away with such requirements. I think
3 it's good that you pointed that out, and that will
4 be food certainly for discussion throughout the
5 rest of today's question period, and certainly
6 through tomorrow.

7 If I could just have a follow-up, just as
8 chair, just to follow up. From Dr. Epps'
9 presentation, Dr. LaCivita, she mentioned that in
10 October of 2006, there was a 23-day lockout period,
11 but then that lockout period was reduced to 19. I
12 just wanted to get a better sense -- it was made
13 clear to us in Dr. Crist's presentation that the
14 19-day lockout captures 97 percent of pregnancies.

15 Could you just give us some sense of what
16 was the rationale behind the original 23-day and
17 what was the discussion? I think it was perhaps a
18 joint DSaRM and DODAC meeting in 2006 that led to
19 this change, but just to help us get some clarity,
20 if possible.

21 DR. LaCIVITA: Sure. I'm going to ask
22 Dr. Crist to help us with that question, and then

1 also ask Dr. Epps if she has anything to follow up
2 with.

3 DR. CRIST: Thank you, Dr. LaCivita.

4 So the question was related to the
5 elimination of the 23-day lockout, and moved down
6 to what is now known as the 19-day lockout or the
7 19-day wait.

8 When iPLEDGE was first implemented 16 years
9 ago, all patients had a 7-day window for picking up
10 their prescription, and this resulted -- if they
11 did not pick up or they did pick up -- in a 23-day
12 lockout until the next prescription. This was
13 removed for males and females not of childbearing
14 potential, which was the terminology at the time,
15 to reduce access barriers and reduce burden on
16 stakeholders. The 23-lockout for females of
17 childbearing potential was also proposed to be
18 eliminated for all fills, with the exception of the
19 first fill by the applicant in 2006, and this was
20 related to burden and frustration related to
21 treatment interruptions.

22 The rationale to keep this wait period for

1 the first fill was based on labeling, which
2 outlines the need for two pregnancy tests at least
3 19 days apart before treatment has started. So
4 this became the 19-day waiting period or the 19-day
5 lockout. And as we've mentioned, or as I've
6 mentioned in the presentation, this was another
7 layer of screening to prevent people who were
8 pregnant from starting on isotretinoin therapy.
9 Thank you.

10 DR. LaCIVITA: Dr. Sun, did you have
11 anything to add to that?

12 DR. SUN: Thank you. I don't have anything
13 else to add.

14 DR. EPPS: Hi. Roselyn Epps, Dermatology
15 and Dentistry. I'll just add it was the assessment
16 or the recommendation of that advisory committee
17 meeting that reducing that lockout or waiting
18 period to 19 days would reduce the burden, and not
19 necessarily increase the risk and have a problem as
20 far as safety was concerned. That was a
21 modification that was made to reduce the burden for
22 patients, as well as the prescribers.

1 DR. LO RE: I appreciate the clarification.
2 Thank you.

3 I know Dr. Hernandez-Diaz had her hand up,
4 but it doesn't look like now.

5 I don't know, Sonia, if you have a follow-up
6 question.

7 DR. HERNANDEZ-DIAZ: Hi. Sonia
8 Hernandez-Diaz. I had a follow-up, a clarifying
9 question, from the discussion before, but probably
10 the FDA colleagues can answer.

11 When we were discussing patients that cannot
12 become pregnant to reduce their burden and allowing
13 not having the RMA, in one response it was
14 mentioned that one of the potential risks is that
15 then they might get the prescription from a
16 prescriber, from a healthcare provider, that is not
17 certified. So I wanted to get that clarification
18 of why would that be? Why cannot we require that
19 to prescribe you have to be certified?

20 Along those lines, if the recommendation is
21 not to increased the number of days of the
22 prescription from, say, 30 to 90, would it be

1 possible to have, not the prescription, but have a
2 system for patients that cannot become pregnant
3 trigger three RMAs from the same prescription?
4 Still there will need to be a prescription and the
5 information. I had another follow-up question, but
6 maybe if we want to take this clarification first.
7 Thank you.

8 DR. LO RE: Dr. Hernandez-Diaz, was that to
9 agents, to the FDA, or to --

10 DR. HERNANDEZ-DIAZ: Initially it was to
11 IPMG, but I think FDA will know also do we need to
12 be concerned that prescribers that are not
13 certified can be prescribing isotretinoin if we do
14 not require the RMA for patients that cannot become
15 pregnant.

16 DR. LaCIVITA: I ask the IPMG to start with
17 that question since it was one of their proposals.

18 DR. LO RE: Sure.

19 Mr. Shamp?

20 MR. SHAMP: Jim Shamp from UBC. So the
21 requirement in iPLEDGE is that any prescriber that
22 is going to prescribe isotretinoin must be

1 certified in the REMS, but the concern is that a
2 patient may be getting a prescription from a
3 prescriber that's not aware of that. Let me give
4 you an example that might help explain this.

5 Let's say we have a patient who is about
6 18 years old starting isotretinoin, gets their
7 first prescription from their dermatologist at
8 home, and then goes away to college. It's time for
9 their next prescription, and they go to the student
10 health center on campus and says, "Hey. Can you
11 give me a prescription for isotretinoin?"

12 That doctor may not be aware that there is a
13 REMS and it is required to be certified in order to
14 write a prescription for isotretinoin, and may
15 write that script. As we described before, that
16 script can then be taken to a certified pharmacy,
17 and because that patient is in a
18 qualified-to-receive state, then that dispense will
19 be authorized, and that patient will be getting
20 drug in that situation. Thank you.

21 DR. HERNANDEZ-DIAZ: So it will go around
22 the system even when that's not supposed to happen.

1 Since I have you, if I may, the question
2 about would it make sense in the system to propose
3 that the qualified prescriber for a person that
4 cannot become pregnant can trigger three RMAs or
5 more, they will cover three or four a prescription.
6 So still the prescription will have to come from
7 the prescriber, but the patient will not be in the
8 situation of going to the pharmacy and say, "Oh,
9 your clinician, your prescriber, forgot to do the
10 RMA," but that way we'll reduce the burden for the
11 prescriber, and they only have to click once but
12 have three every 30 days trigger. Would that make
13 sense?

14 MR. SHAMP: Jim Shamp from UBC. If I can
15 clarify and maybe restate your question a different
16 way, are you suggesting that for a patient who
17 cannot become pregnant, that we would allow refills
18 instead of requiring a new prescription for each
19 fill?

20 DR. HERNANDEZ-DIAZ: I had that in mind, but
21 since it was discussed that we don't want to have
22 so many drugs around, rather than affecting the

1 prescription of the refill, but rather having the
2 system triggering 3 RMAs or 4 RMAs from the
3 clinician the first time so that the RMA is the
4 thing that is automatically refilling, if you wish,
5 not the prescription, but the RMA.

6 MR. SHAMP: Okay. Thank you. I understand
7 your question now. So you're asking can the system
8 then trigger, after that first confirmation, for
9 risk management authorizations. The system only
10 checks at the time of dispensing to ensure at that
11 moment that all safe-use conditions have been met.
12 So what you're suggesting is that's done possibly
13 4 months ahead of it, and I think just to balance
14 the safety of this, we would need to check that
15 each condition is met at the time of dispensing and
16 not upfront 4 months before. Thank you.

17 DR. HERNANDEZ-DIAZ: If I may, can I have my
18 second clarifying question?

19 DR. LO RE: Of course.

20 DR. HERNANDEZ-DIAZ: Thank you. The FDA
21 probably would be in a position for this follow-up
22 question. It is regarding actually how to reduce

1 the burden for patients that can become pregnant.

2 Regarding the pregnancy testing, based on
3 the pandemic experience with the COVID testing and
4 so forth, do you see, as the FDA, a way to
5 implement the lessons learned from there to what we
6 are discussing today? For example, that each
7 prescription will come with a pregnancy test, with
8 a barcode, and that the patients at home could show
9 to an online data platform, maybe before and after,
10 and that negative pregnancy will go straight to the
11 system rather than having to go to the doctor, like
12 facilitating the in-home but not completely
13 avoiding but reducing the risk that you mentioned
14 of falsification and so forth, by lessons learned
15 from the COVID testing. Thank you.

16 DR. LaCIVITA: I'll start, and then maybe
17 IPMG might want to add to that.

18 I think the lessons learned from the COVID
19 testing is that not enough information was
20 collected to really make that type of a
21 determination. We do know that there were some use
22 of home pregnancy testing. We don't really know

1 how much or how often that was; that wasn't
2 collected.

3 So I think what you're proposing is, is
4 there any way to kind of automate some of these
5 actions in terms of a pregnancy test that would go
6 directly to a pharmacy? I think that's what your
7 question is. It's certainly an interesting
8 thought, but that's not really something that we
9 have discussed internally at this point in time, or
10 if there's a capability to do that.

11 DR. HERNANDEZ-DIAZ: Thank you.

12 DR. LaCIVITA: And I didn't know whether
13 IPMG wanted to add to that.

14 MR. SHAMP: Jim Shamp from UBC.

15 Dr. LaCivita, as you mentioned, it is an
16 interesting idea. The issue with it, I believe,
17 would be that in order to automate or provide an
18 electronic interface to obtain the lab results,
19 there are so many lab systems out there, that to be
20 able to interface with them all would probably be
21 not a burden that we could overcome with this
22 system. Thank you.

1 DR. LO RE: Great. Thank you.

2 Alright. I'm going to go back. I'm going
3 to start at the top of the order.

4 Dr. Green, I have your hand up.

5 DR. GREEN: Yes. Thank you. I had a few
6 questions. The first is actually two parts. It
7 involves the website. I'm not sure who best to
8 direct that to. Two questions about this is when
9 you enter someone's form of contraception, there
10 are both hormonal and non-hormonal IUDs listed. I
11 really don't see the point of that. If they have
12 an IUD, they have an IUD; it really doesn't matter.
13 That is a potential mismatch when it comes to
14 contraception that comes up at times.

15 The other question I had, I have it up. I'm
16 not going to share my screen, but the patient
17 counseling, you have to check two boxes at the top.
18 One is that, yes, you feel that the patient is
19 capable of meeting the requirements of the system.
20 The second -- well, the first one on the
21 list -- is, "I have counseled this patient on the
22 following," and the first is that they have to use

1 two forms of birth control. And then when you
2 scroll down and enter their forms of birth control,
3 you have to click a button saying I provided
4 contraception counseling to this patient, and you
5 have to do it every month.

6 We're all using EMRs. It is a lot of
7 burden. We're all trying to reduce clicks.
8 Basically, this is redundant. I think the part we
9 have to enter the date should be taken out since we
10 already entered their forms of contraception, we
11 entered their pregnancy test, and we checked the
12 box saying that we talked to them about this
13 already.

14 Those are my two questions. I have other
15 questions. I have two more. I don't know if you'd
16 rather me ask them all right now or if someone
17 wants to respond to the first; however you would
18 like to proceed.

19 DR. LO RE: Why don't we do these two first
20 just so people don't get overwhelmed. Who do you
21 want to direct this to, the website?

22 DR. GREEN: Whoever is in charge of the

1 website.

2 DR. LO RE: Mr. Shamp?

3 MR. SHAMP: Jim Shamp from UBC. I'm going
4 to answer your questions, but before I do, I do
5 have an update from a question that was asked
6 before lunch by Dr. Tollefson, if I may respond to
7 that. The question was that we have 12 pregnancies
8 that were reported in patients who had entered the
9 19-day wait. Slide up.

10 We have some updated information on this.
11 Of those 12 pregnancies that were detected, three
12 of these pregnancies were detected in the 19-day
13 wait period; 7 pregnancies were detected after the
14 19-day wait period; 1 pregnancy was found to have
15 actually occurred prior to the 19-day wait period,
16 but the initial 7-day window was created with a
17 negative test result entry; and then the last one
18 is a pregnancy that was unknown due to no pregnancy
19 test reported, and the pregnancy ended in a
20 spontaneous abortion.

21 DR. TOLLEFSON: Thank you. That's helpful.

22 MR. SHAMP: Back to your question, I think

1 the first one was entry of the contraception
2 choices online and why if you're using a hormonal
3 contraceptive choice such as hormonal IUD, why
4 would you need a second form. The reason --

5 DR. GREEN: No. Can I clarify? You have
6 hormonal IUD and non-hormonal IUD listed. I think
7 it should just say "IUD" because it is a potential
8 mismatch. When the patient goes to answer their
9 question, they can put the opposite. If you put
10 hormonal, they put non-hormonal, and it gets
11 blocked because it's a mismatch.

12 MR. SHAMP: Thank you. I understand that.
13 I would suggest that from a pregnancy registry
14 standpoint, that it would be important for
15 pregnancies to understand if it was an IUD or a
16 hormonal IUD, but that is certainly something that
17 we can discuss with the agency and with our
18 stakeholders.

19 DR. GREEN: Yes. I'd be curious to see if
20 Dr. Sun as an OB/GYN has any comment on that
21 because it's listed under the FDA's non-patient
22 dependent. It's an IUD, so -- anyway, it's a

1 potential mismatch.

2 The other question was about basically being
3 redundant on listing that we've counseled them.

4 MR. SHAMP: I think your suggestion was that
5 we remove the dates on counseling.

6 DR. GREEN: Correct.

7 MR. SHAMP: That is certainly an idea that
8 we can, again, discuss with the agency and with the
9 stakeholders, and see if that is something that
10 would potentially reduce burden while still
11 maintaining the safe-use conditions and preventing
12 exposure to fetuses. Thank you.

13 DR. GREEN: Great. Thank you.

14 I have two other questions. The first has
15 to do with the 7-day window, and I don't know who
16 to address this to. I'm sorry; there are a lot of
17 people here. I don't know if anybody would be
18 willing to consider making that a 10-day lockout
19 window. I have two reasons; well, really one big
20 reason.

21 The first is this medicine is constantly
22 requiring a prior authorization. The prior

1 authorization, some insurances will tell you they
2 have up to 7 days to get back to you, so they don't
3 start that prior authorization until the
4 prescription is put in. So once the prescription
5 is put in, if they have 7 days to get back to you,
6 that 7-day window may be up, which kicks you into
7 the 19-day lockout period.

8 I have a lot of comments on that. I know
9 it's a discussion for later, but is there a way to
10 consider making that 7-day lockout a 10-day?
11 Because, yes, there's us, the people prescribing
12 it; yes, there are the patients; yes, there is the
13 pharmacy, but I think we're leaving out a major
14 player here, and that's the insurance company, and
15 they have wrecked more of these prescriptions
16 than -- I mean, this is my 18th year of doing this.

17 I'm a pediatric dermatologist. I prescribe
18 a lot of this. I've probably prescribed it -- I
19 don't even want to guess how many thousands of
20 times at this point in my career, and I've seen it
21 happen over and over and over again. Myorisan is
22 no longer being manufactured, and that has created

1 sort of a back-jam, a backlog, so now places don't
2 have it. They have to order it. That's a problem
3 in and of itself. So just those 3 days I think
4 would account for a lot of those issues with prior
5 authorizations.

6 Again, I'm sorry; whoever feels best
7 qualified to comment on that.

8 MR. SHAMP: This is Jim Shamp from UBC. I
9 will start the answer, but I will ask for FDA to
10 chime in on the specific data that they presented
11 this morning on the patients that are able to pick
12 up their prescription in the 7-day window. I don't
13 recall their specific percentages.

14 I just also want to clarify that I think you
15 used the word 7-day and 10-day lockout, and I just
16 need to clarify you were talking about the
17 7-day --

18 DR. GREEN: Window.

19 MR. SHAMP: -- window. Thank you.

20 DR. GREEN: Yes, and really for the initial
21 prescription because the prior authorization only
22 has to come the first time you prescribe it,

1 generally, unless something happens where they
2 switch insurances. But if we could make that
3 longer, I think it would be a huge help.

4 MR. SHAMP: So from a program perspective,
5 we would still have to balance the burden of this
6 7-day versus a 10-day window against the aspects of
7 the safety, which is the safe-use conditions and
8 ensuring that we are preventing fetal exposure to
9 isotretinoin. But I would again ask if FDA could
10 provide their statistics or the percentage of those
11 patients that were able to get a prescription
12 filled in that 7-day window. Thank you.

13 DR. LaCIVITA: This is Cynthia LaCivita from
14 the FDA. I'm going to ask Dr. Crist to answer that
15 question, but I just want to make sure before she
16 answers the question, you're speaking only of
17 female patients who can become pregnant. They're
18 the ones that have the 7-day window, and your
19 question is to extend that time period for the
20 first prescription window to 10 days. So I just
21 wanted to make sure that I understood the question.

22 DR. GREEN: Right, yes.

1 DR. LaCIVITA: Dr. Crist can follow up with
2 the statistics that we had on our side. Thank you.

3 DR. CRIST: Thank you. Lindsey Crist from
4 the FDA. Sure. The statistics that we summarized
5 from supplemental -- I'm sorry. Can you guys hear
6 me now? I apologize

7 DR. GREEN: Yes.

8 DR. LaCIVITA: We can.

9 DR. CRIST: The data that we presented was
10 supplemental data from the IPMG that stated that
11 80-to-85 percent of patients who can become
12 pregnant are able to pick up the prescription, the
13 first prescription, within the 7-day window.

14 DR. GREEN: Is that people who can -- I
15 guess it would be people --

16 DR. CRIST: Yes, patients who can.

17 DR. GREEN: Okay, because it just seems to
18 happen a lot with the prior authorizations, and
19 that 15 percent then kicks into that 19-day window,
20 which becomes a problem in and of itself.

21 Anyway, that's my suggestion. I guess it's
22 something we can talk about at a later time since

1 we're really just doing questions here. I do have
2 one more question if you guys don't mind.

3 When it comes to the home pregnancy test, my
4 question for you guys is what data are you looking
5 for? Because if we say home pregnancy tests are
6 not allowed to count towards your, you have this
7 negative pregnancy test; we can put it in the
8 system, you will effectively kill the ability for
9 anyone, any female of reproductive potential who
10 could get pregnant, to use telemedicine as an
11 option. It will derail the entire thing.

12 We've been doing this since the beginning,
13 and we've probably done a few thousand patients
14 this way. We have no reported pregnancies using
15 home pregnancy tests. Would you be willing, for
16 the next year, to say, "You know what? We're going
17 to keep track of pregnancies as we always have, but
18 we're going to parse out how many people were
19 reported pregnant from the CLIA pregnancy lab."
20 How many people were reported pregnant using this
21 as a home method -- using a home pregnancy test?
22 Excuse me.

1 MR. SHAMP: Jim Shamp from UBC. That is
2 exactly what type of data we would need, is of the
3 pregnancy tests that are entered, how many of them
4 are from a home pregnancy test or how many are from
5 a CLIA-certified laboratory conducted test, and
6 then we would need to have sufficient data to be
7 able to measure the pregnancy rate and see if there
8 is any type of a variance in those two different
9 types of tests.

10 DR. GREEN: Understood. I mean, the
11 pregnancy rates haven't gone up during the
12 pandemic -- I know that's a lot of factors that go
13 into that -- but home pregnancy use tests have gone
14 way up.

15 Alright. Those are all my questions --

16 DR. LO RE: Yes, this sounds like this would
17 be an area for more research in the future.

18 DR. GREEN: Yes. That's something we can
19 certainly look into. But I guess as someone who
20 uses a lot of telemedicine, and I think all of us
21 are in this boat now clinically, if you say, "Hey,
22 we cannot use home pregnancy tests," you're

1 basically telling every female who's registered --

2 DR. LO RE: Dr. Green, let's just keep this
3 for clarifying questions. We'll have time for
4 discussion and more opinions, though, tomorrow, if
5 that's ok.

6 DR. GREEN: Understood. Understood. Out.

7 DR. LO RE: Dr. Schreiber, you're up next.

8 Oh, wait. Actually, before Dr. Schreiber, I
9 was just notified by our designated federal officer
10 that Dr. LaCivita wanted to make one clarifying
11 point. I'm sorry.

12 DR. LaCIVITA: Yes. I'm sorry. I think
13 Dr. Wenjie Sun, she wanted to make a clarifying
14 point regarding the 97 percent. I think that's a
15 question you had asked.

16 DR. SUN I think I heard it earlier that
17 you stated that 95 percent of pregnancies can be
18 detected through the 19-day lockout. I just want
19 to point out that it is true that a pregnancy
20 conceived prior to the lockout, the detection rate
21 is approximately 97 percent, but for those
22 pregnancies that conceived during the lockout, the

1 percentage is little bit lower than that. For
2 those with regular cycles, we are estimating about
3 40-to-66 percent. Thank you. That's just a point
4 of clarification.

5 DR. LO RE: Thanks, Dr. Sun.

6 Dr. Schreiber, I have you up next.

7 DR. SCHREIBER: Hi. Thank you.

8 My clarifying question is around abstinence
9 and how it's classified in the REMS, and how it
10 relates to the request posed to this group to
11 evaluate the need to continue or modify the
12 pregnancy registry because a value stated of the
13 registry is that it allows the conduct of root
14 cause analysis for the pregnancies, and I wonder if
15 the registry is in fact used that way.

16 For example, when we look at what factors
17 are most associated with a pregnancy while on
18 therapy, the stated lifestyle choice, abstinence,
19 is one factor associated with a pregnancy while on
20 therapy. And I note that abstinence is classified
21 here as a lifestyle choice and not as a
22 contraceptive method, but it could be classified as

1 a contraceptive method, which is, by the way, the
2 REMS functions that there would be a requirement
3 for a second method, a backup method, because all
4 the other contraceptive methods require two.

5 So I wonder if there's ever been a
6 consideration for requiring two methods for those
7 who state that abstinence is their method, and I
8 also am asking if patients who state that they are
9 abstinent are also undergoing contraceptive
10 counseling at the outset of some sort; so that, for
11 example, if a failure of abstinence is identified,
12 those individuals might have resources and ready
13 for emergency contraception, or if they are
14 anticipating a possible failure, how they are
15 connected with contraceptive care. Thank you.

16 DR. LO RE: Dr. Schreiber, do you want to
17 direct this to the agency or to --

18 DR. SCHREIBER: I wish I knew to whom I
19 should best direct that question. I don't.

20 DR. LO RE: Okay. I'm going to ask maybe
21 Dr. -- Dr. LaCivita, you popped up. Did you want
22 to --

1 DR. LaCIVITA: I was just getting ready just
2 in case. Go ahead.

3 DR. LO RE: I was going to ask Mr. Shamp
4 first.

5 DR. LaCIVITA: That's fine.

6 DR. LO RE: Dr. Ephross had talked a lot
7 about the registry.

8 MR. SHAMP: Jim Shamp from UBC. Your first
9 question about have we considered allowing
10 abstinence and a form of contraception, prior to a
11 change in the system of few years back, we actually
12 allowed the entry of abstinence and a form of birth
13 control, but that was removed primarily because the
14 concept of abstinence means you really should not
15 need another form, and if you're considering not
16 being abstinent, then you need to be having that
17 discussion with your prescriber and moving on to a
18 specific combination of birth control choices.

19 Your second question I believe had to do
20 with for patients that choose abstinence, are they
21 still provided with the counseling? That is the
22 requirement of the REMS, is that the patient is

1 counseled by their prescriber on contraception, and
2 if that prescriber does not feel comfortable or
3 knowledgeable enough to have those discussions,
4 that they are referred to a contraception
5 counseling expert. So depending upon their
6 choices, they should also provide the contraception
7 counseling per the requirements of the REMS.

8 I apologize. If you could just restate your
9 third question; at this point, I have forgotten it.

10 DR. SCHREIBER: Thank you. It was only
11 related to just trying to tie a bow around the
12 whole process here as it relates to the need for
13 the pregnancy registry or any modifications to it
14 because, to me, as we look at the pregnancy outcome
15 data, those who state abstinence is there method or
16 their lifestyle choice have one of the highest
17 risks of becoming pregnant while on therapy.

18 So if the goal of the REMS is to prevent
19 pregnancies while on therapy, then perhaps -- I
20 guess my question is, why did that change happen
21 that you just alluded to; that people who choose
22 abstinence, which is a less effective method than,

1 for example, a long-acting reversible contraceptive
2 method, are not required to use two methods, and
3 the data support that perhaps they should be.

4 MR. SHAMP: Jim Shamp from UBC. I'll ask
5 Dr. Ephross to respond to the question, but before
6 she does, I would just like to state that
7 isotretinoin is approved for patients 12 years and
8 older, so I think from a perspective of those
9 younger patients, we do need to take into
10 consideration that lifestyle choice, specifically
11 based on their age.

12 Dr. Ephross?

13 DR. EPHROSS: Sara Ephross, Syneos Health.
14 Slide up, please.

15 Dr. Schreiber, I think this is the slide
16 that you're referring to; is that correct, the
17 unsuccessful at abstinence?

18 DR. SCHREIBER: That's exactly right, yes.

19 DR. EPHROSS: This is showing it for the
20 prescriber reported reason for pregnancies. These
21 are the data that we're talking about, and I think
22 that the simple answer is that the way abstinence

1 is defined in this program is that there is no way
2 that you could need a second form of birth control.
3 In other words, the way that it is defined, nothing
4 is happening that would require you to be using
5 birth control. Thank you.

6 DR. LO RE: Any other questions,
7 Dr. Schreiber?

8 DR. SCHREIBER: No. Thank you.

9 DR. LO RE: Dr. Katz, I have you up.

10 DR. KATZ: Thank you. It's Ken Katz. The
11 first question relates to the 19-day lockout period
12 and the 12 pregnancies that were detected in people
13 who were in that lockout period.

14 Do we have information on the primary and
15 secondary forms of birth control that those people
16 were on, and specifically, were there any
17 pregnancies in people who were on long-acting
18 contraceptives who became pregnant?

19 MR. SHAMP: Jim Shamp from UBC. I don't
20 believe we have the specifics on those 12 patients
21 that became pregnant that were in a 19-day wait,
22 but what we do have is the most common

1 contraception methods and the pregnancies. Slide
2 up.

3 So what you can see here is years 14, 15,
4 and 16, that abstinence was the most common choice
5 of the birth control and with the birth control
6 pills and male latex condoms as the second most
7 popular choice. But you will see in year 16 -- I'm
8 sorry. I misspoke. Hold on. I'm trying to
9 understand all the data here. Yes, for patients
10 who were not pregnant, abstinence was the most
11 common. For patients who became pregnant, the
12 birth control pills and male latex condoms was the
13 most common choices.

14 DR. KATZ: Right. I see. I think it would
15 be interesting if we're able to get the data on
16 those 12 because it might be helpful to stratify
17 the risk. I know the comment was made earlier that
18 the system was kept simple so there wouldn't be
19 confusion, but we doctors can keep a lot of balls
20 in the air at the same time. So if the risk is
21 extremely low, or zero, we think in patients who
22 are on long-acting contraceptive, then maybe for

1 those people, we could get rid of that 19-day
2 lockout.

3 My second question is about sharing of
4 medicines. Do we know in those people who shared
5 medicines, whether that was because they didn't
6 know they were supposed to not share it or they
7 knew and they just decided to share it anyway?

8 MR. SHAMP: Jim Shamp from UBC. I don't
9 think we collected that data for the patients that
10 had reported they shared their medication. As we
11 said before, we do know it was 24 cases through the
12 life of iPLEDGE, and more recently I believe it was
13 4 cases where patients have shared drug.

14 DR. KATZ: Right. I guess it speaks to the
15 importance of counseling. We could counsel every
16 day, but if people are going to share it anyway,
17 that's not going to do anybody any good in terms of
18 thinking about burdens. Okay. Thank you.

19 MR. SHAMP: And if I could just add, from
20 your previous question about the contraception
21 choices for these 12 patients, that is something
22 that we can try to get and provide back to you

1 either later today or sometime tomorrow.

2 DR. KATZ: Thank you. That's it. Thank
3 you.

4 DR. LO RE: Ms. Robotti, I have you up next.

5 MS. ROBOTTI: Hi. Suzanne Robotti, DSaRM.
6 I have a couple of questions. One's really simple
7 I think. Do we have a dropout rate on those
8 patients that started on treatment but dropped out
9 before the end of the 4 or 6 months of the typical
10 use? And if we do, do we know why they dropped
11 out? And I don't know who to ask that.

12 DR. LO RE: I think Mr. Shamp might be the
13 best.

14 MR. SHAMP: Jim Shamp from UBC. We do have
15 some data on that. I'm looking for the data on
16 lost-to-follow-up patients. We do have data for
17 patients who became lost to follow-up during their
18 treatment and recognized by the system from a lack
19 of activity in the system, based on the prescribers
20 not confirming them, and they are not completing
21 their required activities in the system, nor are we
22 seen authorizations for dispensing.

1 MS. ROBOTTI: What percentage of people who
2 start treatment do seem to drop out, or as you like
3 to say, lost?

4 MR. SHAMP: I apologize. I'm waiting for
5 the data to come up to be able to speak to it
6 directly.

7 MS. ROBOTTI: Of course the reason I'm
8 asking is simply if the dropout rate is relatively
9 low, that would indicate that patients are putting
10 up with the inconveniences and finding ways around
11 it. If the dropout rate is high, again, it depends
12 on why it would be high, but it would be another
13 indicator.

14 MR. SHAMP: Yes. Slide up.

15 As you can see, there are two different
16 types of lost to follow-up that we're looking at
17 here. One is patients who were registered and then
18 went inactive as I described, and this one is where
19 patients had completed their course of treatment,
20 but we were awaiting the entry of the pregnancy
21 tests at completion of therapy, and then the second
22 test at 30 days after completion of therapy.

1 So I think your question really applies to
2 this first row, and as you can see, it's been
3 fairly consistent across years 14, 15, and 16, and
4 somewhere between 14 and a half and 16.6 percent of
5 those patients.

6 MS. ROBOTTI: I mean, the side effects are
7 fairly significant separate and aside from the
8 effort of getting the drug. Do you have any feel
9 for or any data on the dropouts? They do not want
10 to tolerate the drug any longer, or they could not
11 comply, or they got pregnant and didn't report that
12 they were pregnant?

13 MR. SHAMP: We don't have data on these
14 patients because they disappeared from the system
15 and they did not interact with it. In the case
16 where the prescriber does discontinue the patient,
17 in that case we do collect data, but these are
18 patients that the prescriber did not discontinue
19 them, they did not come back, or there was a lack
20 of interaction, which caused them to go inactive.
21 Thank you.

22 MS. ROBOTTI: If you're on

1 isotretinoin -- the pronunciation, I
2 apologize -- if you're on the drug, what happens if
3 a patient takes a morning-after pill? Is there any
4 concern about emergency contraceptive use like
5 that?

6 MR. SHAMP: Jim Shamp from UBC. If I could
7 just clarify your question, you mean from a safety
8 aspect or what perspective?

9 MS. ROBOTTI: I mean from a safety aspect.
10 If somebody feels that their birth control failed,
11 their abstinence vow failed them, and they
12 participate in sex and then go use a morning-after
13 pill, that quite possibly would not ever be
14 reported to the doctor. So I'm simply wondering is
15 there a health effect to that, a visit? Have you
16 looked at the interaction of the two drugs?

17 MR. SHAMP: Jim Shamp from UBC. I'll ask
18 Dr. Weiss to respond to the question.

19 Dr. Weiss?

20 DR. WEISS: Hi. It's Dr. Weiss, Herman
21 Weiss. I'm an external GYN consultant as a paid
22 consultant to the sponsors, but I have no financial

1 interest in the outcome of this meeting.

2 The concept of the morning-after pill would
3 be -- I mean, I don't know how the sponsors would
4 appear, but it would be a failed abstinence --

5 MS. ROBOTTI: As an example.

6 DR. WEISS: Sure. As an example, it would
7 be a failed abstinence, and they would use an
8 additional form of birth control to prevent that
9 pregnancy. I assume that they would have to be
10 entered into a failed contraceptive and then have
11 the adequate follow-up.

12 I'm not sure. If you're getting at that the
13 emergency contraceptive isn't well represented as a
14 form of birth control, that could be obviously
15 added in as a potential discussion point. But as
16 far as a potential path, I think you'd have to
17 treat this as a failed contraceptive, and then
18 obviously treat this pregnancy as a potential
19 pregnancy but potentially adequately treat it with
20 emergency contraceptive.

21 If I'm understanding your question, I think
22 the goal is that these patients understand they

1 need contraception. They know that they promised
2 to have abstinence, and they didn't abide by their
3 abstinence or whatnot, so they adequately treated
4 themselves for that, so that obviously would need
5 to be individualized. I'm not sure the program
6 really stands to have that individualization
7 aspect. I hope that answers your question.

8 MS. ROBOTTI: It does. I just can't help
9 but wonder about things like somebody who realizes
10 mid-month that they are pregnant, and then uses the
11 two-step pregnancy pill, and therefore isn't
12 pregnant, and continues through continuous
13 treatment, probably I guess all fine. Sorry, just
14 wandering thoughts on what can go wrong here.

15 DR. WEISS: Yes. I think we're just mixing
16 up two things. There's the morning after pill,
17 which is --

18 MS. ROBOTTI: No, no. I know. I'm going on
19 to a different example.

20 DR. WEISS: Yes.

21 MS. ROBOTTI: Yes, as an example. There are
22 just lots of things that can go wrong here.

1 Someone can end up with ending a pregnancy without
2 ever telling their dermatologist, and, I mean, I
3 don't know that that matters. I guess I'm asking
4 does that matter.

5 DR. WEISS: Well, I'm not sure. If they
6 keep doing the testing on a month-to-month basis,
7 when do they have the opportunity to enter
8 properly? According to your first scenario, they
9 took the morning-after pill, so they never got
10 pregnant with the morning-after pill. Going to
11 your second scenario, they were using the Mifeprex
12 or the antiprogestosterone, and that would be the
13 [indiscernible].

14 MS. ROBOTTI: Exactly.

15 DR. WEISS: So that's entirely different.
16 They're definitely going to have that 30- window.

17 MS. ROBOTTI: Right, if they couldn't, then
18 the 30-day window.

19 DR. WEISS: They can have that. So yea, I
20 don't think that would be the issue.

21 MS. ROBOTTI: Okay. Just a last thing.
22 There are home COVID tests for people when they're

1 required for traveling internationally. You could
2 get a sealed box, and use a code, and register it,
3 and get a COVID test that was accepted by
4 international borders.

5 Is there a way for home pregnancy tests to
6 create such a difficult structure but it's easier
7 than going to the office? I say this because I
8 personally think that home pregnancy tests, as they
9 stand now, are way too easy to take, and in the
10 place where the country is now with abortions,
11 elective abortions are becoming more and more
12 difficult to get. It's more and more important
13 that pregnancies don't happen.

14 Has anyone looked into that, comparing the
15 international COVID tests to home pregnancy tests
16 with their systems?

17 MR. SHAMP: Jim Shamp from UBC. We have not
18 looked into that suggestion, but as you heard
19 Dr. Wedin present earlier, there are some concerns
20 and risks associated with the home pregnancy tests,
21 and the IPMG sponsors do align with FDA's
22 recommendation to allow the pregnancy tests being

1 performed in the prescriber's office using a
2 CLIA-waived test. Thank you.

3 MS. ROBOTTI: Okay. Thank you.

4 DR. LO RE: I want to just circle back. I
5 saw Dr. LaCivita and Dr. Sun had their hands
6 raised. I don't know if they wanted to respond to
7 one of Ms. Robotti's questions. I know that Phil
8 Bautista had said that the agency wanted to make a
9 comment about a question that Dr. Katz had raised
10 about the 12 pregnancies during the 19-day lockout.

11 DR. LaCIVITA: Yes. I'll call on Dr. Sun.
12 She has some information on the 12 pregnancies
13 during the lockout. Thank you.

14 DR. SUN: Yes. Thank you. This is Wenjie
15 Sun from FDA. We're trying to answer Dr. Katz's
16 earlier question regarding what contraception was
17 used in the 12 pregnancies that was detected during
18 the 19-day lock out in the last few years. For
19 these pregnancies, six of those patients were using
20 birth control pills and male condoms for
21 contraception. One was using abstinence and the
22 rest have unknown methods of contraception. Thank

1 you.

2 DR. LO RE: Dr. Katz, any follow-up from
3 your side? Just circling back.

4 DR. KATZ: I wish we had full data on the
5 other six, but I appreciate that information.
6 Thank you.

7 DR. LO RE: Great.

8 Dr. LaCivita, was that the key comment or
9 anything else?

10 DR. LaCIVITA: Nothing else. Thank you.

11 DR. LO RE: Okay. I am going to return to
12 the top of the queue.

13 Dr. Delost?

14 DR. DELOST: Thank you. Kort Delost,
15 community pharmacist. I first wanted to verify
16 this to IPMG. After listening to
17 Dr. Hernandez-Diaz's question, I want to get a
18 point of clarification.

19 The RMA that's created, isn't that linked to
20 prescriber in themselves?

21 MR. SHAMP: Jim Shamp from UBC. The RMA
22 does confirm that the safe-use conditions at that

1 moment have been satisfied, and with the current
2 system requiring the confirmation monthly for both
3 patients, the patients who can become pregnant and
4 patients who cannot become pregnant, we do have the
5 linkage to the prescriber, and we can confirm the
6 prescriber was certified at the time of that
7 confirmation. For patients who cannot become
8 pregnant, if we remove that linkage, we no longer
9 know for certain who that prescriber is, and we
10 cannot determine if they are certified.

11 DR. DELOST: Could you still keep that RMA,
12 and if you didn't have it, it would just reject at
13 the pharmacy, and we'd know right away it was not a
14 correct description from another doctor?

15 MR. SHAMP: Jim Shamp from UBC. The RMA
16 does not get generated until the pharmacy tries to
17 fill that prescription, so it's not to be created
18 at any point in time except when the pharmacy
19 obtains the RMA. I think what you're suggesting is
20 could then the pharmacy confirm that the prescriber
21 on the script is certified, and we don't have a
22 specific solution in mind at this point. We have

1 been discussing a solution to this, but it's
2 important to understand that any solution at this
3 point would put additional burden on the pharmacy,
4 which we're trying to reduce burden. We're trying
5 to reduce it from the prescriber, and it would just
6 simply shift it to the pharmacy. Thank you.

7 DR. DELOST: Just follow-up. If they had a
8 way of making it like an exempted claim for a
9 person that cannot get pregnant, we could still use
10 the RMA system to fill it, and it could indicate
11 maybe at the time we feel that, that this was an
12 exempt prescription that was prescribed by the
13 doctor, and it's a valid prescription, still, with
14 the RMA.

15 MR. SHAMP: Jim Shamp from UBC. I
16 apologize. I don't understand. If you could
17 clarify what you mean by an exempt prescription.

18 DR. DELOST: Well, say it would be exempt
19 from the -- well, we won't use the word "exempt."
20 It would just be when you went to fill, it would
21 already be pre-approved by the doctor. It's
22 connected up to that doctor. So if we could

1 connect that doctor to when we generate the RMA,
2 and if there was another doctor trying to prescribe
3 it, there wouldn't be a linkage to be able to do
4 that prescription, would there?

5 MR. SHAMP: Jim Shamp from UBC. I think the
6 only way that we could detect whether there is a
7 correct linkage or incorrect linkage with the
8 non-certified prescriber is to require entry of
9 some data at the pharmacy at the time they're
10 trying to obtain the RMA, and that is the very
11 specific point of increasing burden to the pharmacy
12 as we're trying to reduce it from the prescriber.

13 DR. DELOST: I want to say this program you
14 have in place should be able to -- it wouldn't
15 require any more burden just entering the
16 prescription like any other prescription that came
17 in for that. I don't know where the problem would
18 be.

19 MR. SHAMP: Well, we appreciate that
20 thinking, and we can certainly discuss this idea
21 with FDA and other stakeholders. Thank you.

22 DR. DELOST: Thank you.

1 DR. LO RE: Dr. Salvas, do you have a
2 question?

3 DR. SALVAS: I do. Thank you. Brian
4 Salvas, CVS Health. A question to both the FDA and
5 and the IPMG. I'm curious what opportunities exist
6 for testing and learning and pilot testing as it
7 relates to different program designs to be able to
8 collect the data that's lacking around blocked
9 prescriptions, allowed prescriptions, the
10 qualitative elements around both provider, and
11 patient, and caregiver experience.

12 Is this something that's possible within the
13 current scope of the iPLEDGE program?

14 MR. SHAMP: Jim Shamp from UBC. We
15 appreciate the question, specifically the thinking
16 around this pilot testing. That is certainly
17 something we'd be happy to discuss with the agency
18 and with our stakeholders. I certainly open the
19 floor to the agency to see if they have any
20 additional comments. Thank you.

21 DR. LO RE: Dr. LaCivita?

22 DR. LaCIVITA: This is Cynthia LaCivita from

1 the FDA. I think we're always trying to look for
2 ways to reduce burden, and this is something that
3 we can certainly continue our discussions with the
4 IPMG. Thank you.

5 DR. SALVAS: Thank you.

6 DR. LO RE: Dr. Cowen?

7 DR. COWEN: Thanks. So my questions, if we
8 can pull up slide CM-12 from the IPMG presentation,
9 the 120-day confirmation interval, I'd like to
10 revisit the rationale for how the 120 days was
11 chosen.

12 Looking through the recommendations, one of
13 the few areas where I think there will actually be
14 a prescriber burden reduction is individuals who
15 cannot become pregnant and fewer touches the
16 prescriber needs to have with iPLEDGE. The
17 rationale for 120 days I believe was this was
18 approximately a month before the mean duration
19 treatment. I would consider the median duration of
20 treatment as being much more important. You can
21 see a large number of patients drop out in their
22 first month either due to logistics or side

1 effects, and the vast majority of patients are
2 treated for 5, 6, 7 months.

3 So I'd just like to hear the rationale again
4 for having the prescriber go into the system once
5 more, a month or 2 months, before completion of
6 therapy to testify that this counseling is
7 occurring on a monthly basis.

8 MR. SHAMP: Jim Shamp from UBC. I will ask
9 Dr. Wedin to respond to the question.

10 Dr. Wedin?

11 DR. WEDIN: Greg Wedin, Upsher-Smith
12 Laboratories. We will investigate to find out what
13 the median is. It seems to me the median and mean
14 in this data set are very close, but we'll try to
15 get that number for you.

16 You're correct in your assessment that what
17 this accomplishes is that just prior to the
18 prescription, the beginning, the fifth month of
19 therapy, this 120-day confirmation interval would
20 require documentation that the prescriber has
21 evaluated that the patient has determined they need
22 continued therapy, and that they have counseled the

1 patient on safe-use conditions, and that counseling
2 takes place at a point in time where most everybody
3 who gets that counseling at 120 days will likely
4 finish therapy before they would require, yet,
5 additional counseling prior to month 9.

6 So that is our rationale, is that we feel
7 that that patients who require therapy beyond that
8 mean or median duration of therapy, that they do
9 receive that counseling before they finish therapy.
10 Thank you.

11 DR. COWEN: Just to follow up on that, so
12 the goal is that there is two touches that occur.
13 Even if the person is going to be going off
14 therapy, we always want an endpoint documentation
15 made as well?

16 MR. SHAMP: Jim Shamp from UBC. Dr. Wedin
17 will respond to the question.

18 Dr. Wedin?

19 DR. WEDIN: Greg Wedin, Upsher-Smith
20 Laboratories. That is correct. We would like for
21 those patients who are going to continue beyond the
22 mean or median course of therapy, that there is an

1 additional touchpoint where prescribers interact
2 with the patient; reinforce safe-use conditions;
3 not to share any leftover medication that they
4 might have; not to donate blood; and how to dispose
5 of drug properly. That last one is not a
6 requirement of the REMS, but I think it would be
7 important in that situation.

8 DR. COWEN: Okay. It sounds like you're
9 saying continuing beyond a normal course of
10 treatment, you'd want a second round of counseling
11 versus just having a second round at some point
12 along the way. So if patient had a normal 6-month
13 course, they would or would not need to get this?

14 DR. WEDIN: If a patient had a 6-month
15 course of therapy, they would receive the initial
16 counseling with the initial prescription, and then
17 one additional counseling would need to be
18 documented at 120 days prior to that prescription
19 during the fifth month.

20 DR. COWEN: Thank you.

21 DR. WEDIN: Thanks.

22 DR. LO RE: Can I just have a follow-up,

1 Mr. Wedin?

2 So patients who cannot become pregnant are
3 still coming in on 30-day intervals to their
4 provider for their prescription fills; correct? I
5 thought that you had said earlier that those
6 patients are still being counseled on a
7 month-by-month basis, but that the counseling in
8 this kind of a scenario, with a 120-day
9 confirmation, they wouldn't necessarily be
10 documented within the system; is that correct?

11 DR. WEDIN: Yes, that's correct. Currently,
12 a monthly counseling of patients who cannot become
13 pregnant is required, but the documentation of that
14 counseling is required currently with each
15 prescription. This proposed 120-day interval would
16 not require documentation in the system that that
17 counseling took place unless the patient did
18 receive a prescription after that 120-day interval.
19 Then they would need to be counseled once again.

20 DR. LO RE: Okay. And just two follow-up
21 questions to Dr. Cowen's, the reason for this is
22 really because of concerns about blood transfusion

1 and dispersal of drug; is that correct? Is that
2 the main concern for why there is a sentiment that
3 we want an additional touchpoint?

4 DR. WEDIN: Was that question for me?

5 DR. LO RE: Yes.

6 DR. WEDIN: Yes. The documentation at the
7 120-day interval would be to confirm that that
8 counseling did take place, and that the prescriber
9 has determined that additional therapy is needed
10 and, again, we then have documentation that that
11 did take place.

12 DR. LO RE: Okay. And my second question is
13 you mentioned, and Dr. Cowen brought this up, that
14 the rationale for this 120 days was that it's just
15 near the mean of the duration of therapy, But I
16 wanted to just get a sense. Did you consider a
17 90-day or a 60-day; and if so, what were the
18 factors that went into your thinking to select 120
19 versus an earlier period?

20 DR. WEDIN: The rationale for the 120-day,
21 the conversation about that began with the
22 labeling, where it's documented that the typical

1 course of therapy is 4-to-5 months. So as we
2 looked at that, looking to determine should
3 additional counseling take place, that seemed like
4 a logical point in time for that counseling to
5 occur.

6 So that's how the conversation started, and
7 as we did evaluate durations further out from
8 120 days, since that has been the request for quite
9 a long time, is to extend it out to potentially a
10 year, our concerns with that is that introduces
11 other risks related to sharing drug as one of the
12 primary concerns.

13 DR. LO RE: And then last follow-up
14 question, do either you, or Dr. LaCivita, or
15 anybody at the agency have any sense, among
16 patients who cannot become pregnant, how often is
17 there blood donation or dispersal of isotretinoin
18 inappropriately? Do we have any data on that?
19 Because it seems like that's really what's driving
20 the desire to have another touchdown with
21 counseling.

22 DR. WEDIN: Yes. We did present data

1 earlier on the numbers of incidents we became aware
2 of, of sharing drug. There were 24 instances
3 detected over the course of the problem, and during
4 the years 12 through 16, there were four other
5 documented cases of sharing drug. And again, those
6 are only the situations that have come to us as a
7 result of spontaneous reports. We don't collect
8 any data that would signal that so that we could
9 actively collect it.

10 I do have follow-up information on the
11 question from Dr. Cowen. The median duration of
12 therapy is 6 months.

13 DR. LO RE: Great.

14 DR. COWEN: Thank you.

15 Dr. LaCivita, did you want to make any
16 comment?

17 DR. LaCIVITA: You had just asked if we had
18 any data. This is Cynthia LaCivita from the FDA.
19 We do not have any data. The data that we have
20 would come from the IPMG. Thank you.

21 DR. LO RE: Thanks.

22 Dr. Ludwinski?

1 MS. LUDWINSKI: Thank you. Donna Ludwinski,
2 patient representative. I had a question about
3 slide 34 from Dr. Crist's presentation, and it has
4 to do with the post-treatment pregnancy test
5 requirement, because the review team recommends
6 keeping that. But what strikes me about those
7 numbers is 5 percent completed both and 14 percent
8 completed one or the other, and yet almost
9 10 percent of the reported pregnancies occurred
10 within the 30 days of stopping.

11 So my question is, does that imply that the
12 rates of pregnancy in that 30-day window afterwards
13 could be really much, much higher than what's
14 getting reported? I know it was mentioned earlier
15 that it's awful hard to incentivize anyone to come
16 back, but it makes me wonder about that 14 percent
17 that completed either the first or the second. If
18 they only completed the first, then that would miss
19 that whole 30-day window, but if they completed the
20 second, that would cover the first.

21 So I'm curious. If most of that 14 percent
22 did the first one, and if there is more of an

1 incentive to come back for the first one versus the
2 second one -- sorry, I didn't make that crystal
3 clear, but it's mostly to do with what potentially
4 are the true rates in this group and how that can
5 be determined.

6 DR. CRIST: This is Lindsey Crist from the
7 FDA. To your first question, which I believe was
8 could it imply that the rates are higher in this
9 post-treatment period, I want to just note that
10 there is likely underreporting in this period since
11 very few patients complete these tests.

12 Your second question, I believe, was related
13 to specifics on the distribution of how many
14 completed the second test compared to the first
15 test. I don't have that data at the moment. I
16 will defer to my colleague, Dr. Kiruthi, although
17 we may need to defer to the IPMG for those
18 specifics. Thank you.

19 MS. LUDWINSKI: Thank you. That's all.

20 DR. LO RE: Dr. Kiruthi, did you want to
21 make a comment. I see you came on camera.

22 DR. KIRUTHI: Sure. Thank you. My name is

1 Dr. Wambui Kiruthi. For the record, I'm with the
2 Division of Mitigation Assessment and Medication
3 Errors Surveillance. I am one of the REMS
4 assessment analysts. I believe it was 5.36 percent
5 that had completed both tests.

6 DR. LO RE: Thank you very much,
7 Dr. Kiruthi.

8 DR. KIRUTHI: Yes.

9 DR. LO RE: Mr. Shamp, did you want to make
10 a comment?

11 MR. SHAMP: Yes. Jim Shamp from UBC. We
12 actually have the same data as was just commented.
13 For year 15, it was 5.23; for year 16, it was 5.36.
14 And it was also 5.36 for year 4 that completed both
15 tests. Thank you.

16 DR. LO RE: Thank you.

17 Dr. Huybrechts?

18 DR. HUYBRECHTS: Krista Huybrechts. I just
19 had a follow-up question related to the additional
20 data that were shared, that for the 12 pregnancies
21 that occurred during that 19-day lockout window
22 that the method of contraception was not known, I

1 was just trying to understand the mechanism behind
2 it.

3 My understanding is that those patients are
4 patients that had enrolled in the iPLEDGE system at
5 the start and then had their two pregnancy tests,
6 and because they were enrolled, they had to certify
7 what the method of contraception was going to be;
8 had their two pregnancy tests, and then missed that
9 7-day window, and therefore was kicked to the
10 19-day lockout window.

11 I was just wondering, given that they all
12 had to certify in the iPLEDGE system what method of
13 contraception they were going to use, what is the
14 reason why we don't know the method of
15 contraception? And the reason I'm asking is if
16 it's documented in the system and we know they got
17 pregnant, could that be an opportunity to flag
18 those patients that might go on to become
19 non-compliant with their contraception?

20 As I was thinking about it later, I thought
21 maybe you don't know because pregnancies are
22 reported outside of the iPLEDGE system, but that

1 was my question. Are the pregnancies that are
2 occurring documented in the iPLEDGE system, and can
3 we then use it to help identify at-risk patients
4 that then can be targeted?

5 MR. SHAMP: Jim Shamp from UBC. I believe
6 we do know the contraception choices as entered by
7 these patients into the iPLEDGE REMS system. We
8 don't have that at hand, but we will look to see if
9 we can get that for you and provide that either
10 later today or tomorrow.

11 I think there may have been a second point
12 to your question, but I forget what that was.

13 DR. HUYBRECHTS: No, it was more the
14 rationale behind my question. If we know and can
15 identify those patients that are at risk to become
16 pregnant during that 19-day lockout window, can
17 that help us to maybe target at-risk patients early
18 on, rather than having to impose a 19-day lockout
19 window for everyone. If we knew who those patients
20 were, then maybe there could be a more targeted
21 intervention.

22 MR. SHAMP: Jim Shamp from UBC. That is one

1 of the reasons we try to collect the root cause
2 analysis of the pregnancies, so that we can
3 determine specific at-risk patients and perhaps
4 make a modification to the system. Thank you.

5 DR. HUYBRECHTS: Thank you.

6 DR. LO RE: Great.

7 Are there any further clarifying questions?
8 Dr. Dublin?

9 DR. DUBLIN: Thank you. Dr. Dublin, Kaiser
10 Permanente. I've just been thinking about the
11 birth controls being the number one method as
12 chosen by the women who then have pregnancies.
13 Incredibly much lower, obviously, were the
14 pregnancies of the women using LARC, like IUDs. I
15 understand the desire for simplicity to treat all
16 women the same, but as currently structured, women
17 who have a much lower failure rate, the women with
18 IUDs, are going through the same burdensome
19 process, and the same monthly logging in and
20 confirming their birth controls.

21 It just seems like there are some benefits
22 to considering treating women differently who have

1 chosen a much more effective, like, literally, like
2 a 10 times lower failure rate. And potentially, if
3 you made the system somewhat less burdensome for
4 women who choose that, it could actually
5 incentivize women to choose these more effective
6 contraceptives.

7 To me, I feel like we have two questions. I
8 think we all understand the program is effective.
9 We're all concerned about burden. But if you think
10 about what are the reasons for the failures that we
11 do have, I think user difficulty in following
12 through with some of the methods of contraception
13 like birth control pills, which especially as a mom
14 of a teenager, having to take a pill every day at
15 the same time, this is really hard on younger
16 people to adhere with.

17 So I feel like we're missing kind of an
18 opportunity to say how could this system maybe be
19 designed in a way that makes it beneficial for
20 patients and providers when people choose more
21 effective forms of contraception. I wonder if that
22 has been the subject of discussion at all with IPMG

1 or FDA.

2 MR. SHAMP: Jim Shamp from UBC. It's
3 important to understand that all birth control can
4 fail, and that we are aware of pregnancies that
5 have occurred in patients selecting LARCs as their
6 contraceptive choice, and I will ask Dr. Weiss to
7 respond to the meaning of your question.

8 Dr. Weiss?

9 DR. WEISS: Yes. I think it's a general
10 statement. It's Dr. Herman Weiss, external GYN
11 consultant. It's a general statement about making
12 sure that we're affording these patients all the
13 choices. I personally know that perhaps a young
14 child who has to be on the birth control pill may
15 be not the best candidate for an IUD for obvious
16 reasons. Perhaps a subdermal contraceptive, it has
17 its own list of side effects. And while you may be
18 incentivizing her to take a drug that may not be
19 appropriate for her, you're trading one set of
20 safety issues for other safety issues.

21 For example, again, this has been my
22 experience, and I'm sure there are a lot of other

1 gynecologists on the call who have different
2 experience and different expertise. In regards to
3 the side effect profile for the subdermals in the
4 the clinical trials, 1 percent of the patients
5 enrolled had to have the subdermal implants removed
6 because of acne, so 1 out of 100 would have to be
7 removed because of acne. So that's 1 out of 100
8 that would have to be removed because of acne, and
9 there are others that didn't have to be removed,
10 that stayed in the trial but still had the acne.

11 I think what we're getting is, yes, we do
12 need to communicate and try to individualize to the
13 best of our ability, but I don't know if
14 incentivizing is really the right tool. Again, my
15 experience with IUDs, although they are much higher
16 in a perfect world and a perfect placement, they do
17 get expelled as well. Every category has different
18 risks, and adding another layer of nuanced decision
19 making I don't think decreases the burden of this
20 program. It could very well increase the burden of
21 the program when you have to figure out which
22 contraception this person has.

1 Hopefully this will answer your question,
2 but I do think that this contraceptive counseling,
3 if done properly, does give them all the options.
4 I just don't know if we're going to incentivize
5 that population if that's the right thing to do.

6 MR. SHAMP: And if I can just add on to what
7 Dr. Weiss was saying at the end, the complexity in
8 this system of having different rules for different
9 birth control choices could certainly add to the
10 burden of the prescriber in determining the steps
11 and when those steps should occur for the patient
12 in the iPLEDGE system. And as with all
13 modifications, we would certainly have to balance
14 the burden of the changes against the safety aspect
15 of preventing fetal exposure to isotretinoin.
16 Thank you.

17 DR. DUBLIN: I appreciate the perspectives
18 being raised, but I guess I would say, speaking as
19 an epidemiologist, I don't find it useful to say
20 things like all birth control can fail; therefore
21 that's a justification for not treating IUDs
22 differently. I mean, I think that we look at

1 rates. Everyone's been asking over and over again
2 what birth control was somebody on when they got
3 pregnant, so I think that's a little bit of a
4 specious argument.

5 I also kind of want to disagree with the
6 idea that IUDs couldn't be an appropriate choice
7 for younger women. I mean, we're not talking about
8 young children, but I think that we now know that
9 LARCs are actually really safe and effective for
10 women of different ages, and all of those women,
11 they are appropriate. If there's a concern about
12 that, I would ask our pediatric and adolescent
13 gynecologists to speak to that.

14 I think we're missing opportunities here,
15 and if we really are committed to trying to prevent
16 pregnancies, we need to recognize that oral
17 contraceptives in routine everyday use really are
18 markedly less effective than the other choices we
19 have, and right now we're kind of acting as if
20 they're all equal. So thanks for the chance to
21 share that perspective.

22 MR. SHAMP: Jim Shamp from UBC. If I could

1 have the slide up?

2 I just want to share data that we have on
3 the pregnancies that occurred and the birth control
4 choices that were in use by that patient at the
5 time. As you can see from this slide, we do have
6 pregnancies that have occurred in patients using
7 these LARCs, specifically the hormonal IUD,
8 hormonal implants, and the non-hormonal IUD. So
9 while the pregnancy rate is lower than the overall
10 rate, the pregnancies are still occurring in these
11 patients. Thank you.

12 DR. LO RE: I just wanted to know if the
13 agency had any comments to Dr. Dublin's question.
14 I would point out that figure 2 on page 30 of the
15 briefing document does highlight the differences in
16 number of pregnancies with the different forms of
17 contraception.

18 Was there any consideration to treating
19 women differently?

20 DR. LaCIVITA: You mean treating differently
21 depending on --

22 (Crosstalk.)

1 DR. LO RE: Yes, based on --

2 DR. LaCIVITA: This is Cynthia LaCivita from
3 the FDA. Treating women differently with respect
4 to which contraceptive method they use?

5 DR. LO RE: Yes.

6 DR. LaCIVITA: We are discussing that
7 internally, but we haven't made a determination at
8 this point in time.

9 DR. LO RE: Okay.

10 Any other question, Dr. Dublin?

11 DR. DUBLIN: No. Thank you. That's all for
12 me.

13 DR. LO RE: Okay.

14 Dr. Liu?

15 DR. LIU: Hi. Tao Liu. I have a question
16 about patients' comprehension and evaluation on the
17 risk of birth defects. I know that it was shown
18 that for patients who can become pregnant, more
19 than 95 percent passed the test on the first try.
20 I wonder if there is a similar test for patients
21 who cannot become pregnant, and if there's a test,
22 what's the passing rate?

1 MR. SHAMP: Jim Shamp from UBC. The
2 questions that are asked each month to the patients
3 who can become pregnant are specific to their
4 contraception choices and the efforts that they
5 need to undertake to prevent pregnancy. There are
6 no questions that are asked to these patients that
7 cannot become pregnant.

8 From my presentation earlier today, I showed
9 that the only time these patients interface with
10 the system is at time of enrollment, and then each
11 of the monthly confirmation periods after that,
12 there is no need for any patient interaction with
13 the system. Thank you.

14 DR. LO RE: Great. Thank you.

15 Any other clarifying questions?

16 Dr. Chambers?

17 DR. CHAMBERS: Hi. David Chambers from the
18 National Cancer Institute. I believe this is a
19 question for Dr. Crist. I noticed, on I think it
20 was slide 33, the 15-to-20 percent of patients who
21 can become pregnant who missed the first window.
22 Just as an idea that there might be opportunities

1 to try and have fewer folks end up in that 19-day
2 lockout, I was curious if you have information
3 about what the main reasons why people are missing
4 that initial window for that prescription. Thank
5 you.

6 DR. CRIST: Thank you. Lindsey Crist from
7 the FDA. We do not have specific reasons for why
8 patients are missing that window. I can open it up
9 to see if the IPMG has additional data to share on
10 that. Thank you.

11 MR. SHAMP: Jim Shamp from UBC. The system
12 does not collect a reason for missed windows, so we
13 do not have that data at this time. Thank you.

14 DR. CHAMBERS: Okay. Yes, thanks for that.
15 It sounds like it might be a good opportunity to
16 drill down a bit, at some point, into what are the
17 reasons for that. Thank you.

18 DR. LO RE: Other questions, clarifying
19 questions?

20 (No response.)

21 DR. LO RE: One of the questions that I had,
22 there was a note -- I think, Dr. Crist, this was in

1 your presentation -- that within the 7-day window,
2 80-to-85 percent who can become pregnant pick up
3 their initial prescription typically within the
4 median of 2 days; mean 2.3 to 2.4.

5 I just wanted to get a sense perhaps from
6 IPMG, are there any text alerts or reminders that
7 patients are given that they need to pick up their
8 prescription; that they are missing their window?

9 MR. SHAMP: Jim Shamp from UBC. There are
10 no texts or communications to the patient that they
11 are about to miss their window, but I'm looking for
12 confirmation. With the introduction of the patient
13 calendar, the patient will have access to that, and
14 on that patient calendar, they will be able to see
15 where they are in their window and how many days
16 they have left.

17 DR. LO RE: And that calendar, if I'm
18 correct in remembering, was recently a
19 recommendation that was reinstated; is that
20 correct?

21 MR. SHAMP: That was a proposed modification
22 that was before FDA, and as you heard this morning,

1 the FDA has approved that modification, so we will
2 be determining the best time to introduce that back
3 into the system.

4 DR. LO RE: An operationally, how does that
5 look that calendar? How does a patient actually
6 use that? Is that something on their smartphone?
7 Is it web-based?

8 MR. SHAMP: Slide up. This is Jim Shamp
9 from UBC. This is an image of the calendar as you
10 would see it in the website, so it is website
11 based. The website can be used on your iPhone. We
12 do not have a specific app for the phone, but you
13 can certainly access the website from your as well.

14 It's probably very difficult to see, but it
15 shows you the days of your 7-day window and
16 specifically where you are as far as completing
17 your requirements and how many days you would have
18 left, once you've satisfied all your requirements,
19 to pick up that prescription. Thank you.

20 DR. LO RE: Great. Thank you very much.

21 Follow-up question, Dr. Green?

22 DR. GREEN: Yes. You actually sort of just

1 raised something along these lines. No one goes to
2 websites anymore. We go to websites to chat
3 [indiscernible]. Everyone does everything on their
4 phone. If it would be possible to develop an app,
5 even for the simple thing of answering the
6 questions, something like that, I don't know if
7 that's something anybody -- IPMG -- would be
8 willing to entertain, but I think it would make
9 life much easier. Again, no one goes to websites
10 anymore when they can do it on their phone through
11 an app.

12 Is that something you would be willing to
13 entertain?

14 MR. SHAMP: Jim Shamp from UBC. We
15 appreciate your thinking on that, and that is
16 certainly something we can discuss with the agency.
17 Thank you.

18 DR. GREEN: Thank you.

19 DR. LO RE: Great.

20 Dr. Woodward?

21 DR. WOODWARD: Hi. Maria Woodward. Along
22 those same line for ease of use, is it possible to

1 even have that calendar as a printout so the key
2 dates are available as something that can be
3 printed out for patients, or even within that
4 calendar function, key dates could be added to
5 people's calendars? That's sort of for higher
6 level, but having some method that the patient
7 doesn't have to go back and can look as a printout,
8 or within reminder systems for ease of use, because
9 app development I know can take a long time. But I
10 agree it would be very helpful for users at that
11 level.

12 MR. SHAMP: Jim Shamp from UBC. My
13 understanding is that the calendar is printable as
14 it is today, and it's an interesting idea to add
15 functionality into that to allow you to add this to
16 your calendar on your phone. We'd certainly take
17 that back and discuss it with the sponsors and see
18 if that is something that can be implemented.
19 Thank you.

20 DR. WOODWARD: Thank you.

21 DR. LO RE: Great.

22 Other clarifying questions for either the

1 agency or for IPMG?

2 (No response.)

3 **Adjournment**

4 DR. LO RE: Alright. If no other, we will
5 be able to give you approximately 15 minutes of
6 your time back.

7 I just want to compliment both those at IPMG
8 and at the agency for the excellent presentations
9 and the care with which you have all responded to
10 all of our questions. Speaking on behalf of the
11 advisory committee, it was very appreciative.

12 If there are no further questions, we'll
13 adjourn this first day of this two-day meeting.
14 We're going to start day 2 tomorrow promptly at
15 10 a.m. Eastern Daylight Time. Panel members,
16 please remember there should be no discussion of
17 the meeting topics with other panel members until
18 we reconvene tomorrow. Day 1 is now adjourned.
19 Thanks, everybody.

20 (Whereupon, at 3:44 p.m., the meeting was
21 adjourned.)

22