

UNITED STATES FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

IMMUNE GLOBULIN HYPERSENSITIVITY REACTIONS:
ROOT CAUSES AND MITIGATION

Washington, D.C.

Thursday, March 30, 2023

[This transcript has not been edited or corrected,
but appears as received from the commercial
transcribing service. Accordingly the Food and
Drug Administration makes no representation as to
its accuracy.]

1 PARTICIPANTS:

2 DR. DOROTHY "DOT" SCOTT, MD
3 Plasma Derivatives Branch, Office of
4 Therapeutic Plasma Products, CBER/FDA

5 DR. PETER MARKS, M.D., PH.D
6 Center for Biologics Evaluation and
7 Research (CBER) at the Food and Drug
8 Administration

9 MS. JOREY BERRY
10 Immune Deficiency Foundation

11 DR. MEGHNA ALIMCHANDANI, MD
12 Division of Pharmacovigilance/Office of
13 Biostatistics and Pharmacovigilance,
14 CBER/FDA

15 DR. FRED FINKELMAN, MD,
16 University of Cincinnati and Cincinnati
17 Children's Hospital Medical Center

18 DR. ROBERT ANTHONY, PHD
19 Harvard Medical School

20 DR. LAURENT REBER, PHD
21 Institute Pasteur

22 DR. NATHAN ROTH, PHD
Global Pathogen Safety, and Plasma Product
Development

MR. CLARK ZERVOS, MS

DR. PETER VANDEBERG, PHD

DR. JUERGEN ROEMISCH, PHD

DR. BALAZS TOTH, MD, PHD

DR. JOSEF WEINBERGER, PHD

1 PARTICIPANTS (CONT'D):

2 DR. DON MACGLASHAN, MD, PHD
3 Johns Hopkins University School of
4 Medicine

5 DR. CHARLOTTE CUNNINGHAM-RUNDLES, MD, PHD
6 Icahn School of Medicine, Mount Sinai

7 MS. DOMINIKA MISZTELA
8 Plasma Protein Therapeutics Association,
9 PPTA

10 MS. NANCY ELLER, FDA

11 KARA, IVIG RECIPIENT WHO EXPERIENCED A
12 HYPERSENSITIVITY REACTION DURING INFUSION

13 MS. SHERRI REVELL, FDA

14 MS. MALGORZATA "MARGARET" NORTON, FDA

15 MS. YOLANDA WARREN-HENDERSON, FDA

16 DR. BASIL GOLDING, FDA

17 * * * * *

18
19
20
21
22

1 P R O C E E D I N G S

2 (8:31 a.m.)

3 DR. SCOTT: Thank you. Good morning,
4 everyone. I see our audience continues to grow,
5 but we're going to get started now. We're going
6 to begin with welcoming remarks. And the first
7 person - I'm pleased to introduce Dr. Peter Marks,
8 who is the director of the Center for Biologics
9 Evaluation and Research. And we're very grateful
10 to him for sparing a few moments to speak with
11 you.

12 DR. MARKS: So, thanks, Dot. And I
13 first of all want to thank everyone who's tuned in
14 this morning. I really appreciate the number of
15 people who are joining us. Thanks also to all of
16 our partners and to our FDA staff who've helped
17 put this together -- really appreciate the work of
18 the Immune Deficiency Foundation, Plasma Protein
19 Therapeutics Association or PPTA, and our staff
20 and Dr. Scott for putting together a really nice
21 workshop agenda.

22 Really, this is something that is an

1 important issue to address. This is one of those
2 -- many of the things that our center -- I may not
3 have had up close and personal experience with.
4 But as a hematologist-oncologist who used to give
5 a lot of immunoglobulins for a variety of
6 different conditions, to be honest, on label and
7 off label, I have seen what can happen. And so,
8 understanding hypersensitivity reactions and
9 trying to mitigate against them is really an
10 important thing for this class of products, which
11 has a tremendously broad application in so many
12 different conditions, and is -- you know, it's the
13 mainstay of therapy for several conditions, but
14 also finds its way in use for literally dozens
15 upon dozens of other diseases for which it is a
16 preferred treatment, so -- even if it is off
17 label.

18 So, really appreciate everyone taking
19 the time. I don't want to take too much more time
20 away from the meeting to say anything else other
21 than thank you so much for joining. Really look
22 forward to great presentations and great dialogue

1 today. Thank you.

2 DR. SCOTT: Thanks, Peter. Thanks very
3 much. Next, we'll go on to Jorey Berry, who is
4 the president and CEO of the Immune Deficiency
5 Foundation.

6 MS. BERRY: Thank you. I'm going to
7 share my screen. I just have a couple of slides.
8 And hopefully, everyone can see that.

9 Okay. Well, good morning. On the -- on
10 behalf of the Immune Deficiency Foundation -- and
11 most people refer to us as IDF -- I just wanted to
12 share a quick word on who IDF is and why we're so
13 pleased that today's workshop is happening.
14 Mission statements can be words on paper for a lot
15 of organizations. I don't think that you're
16 supposed to say that, but I can tell you they are
17 not words on paper at IDF.

18 We are laser-focused on improving the
19 diagnosis, treatment, and quality of life of
20 people affected by primary immunodeficiency. And
21 we strive to do that by fostering a community - a
22 community that's empowered by advocacy, education,

1 and research. IDF serves as the trusted
2 organization for the primary immunodeficiency
3 community. And we not only value our role as a
4 patient advocacy organization, we take it very
5 seriously. There are people -- there are people
6 counting on us.

7 If you have a primary immune deficiency
8 or PI, it means you're born missing part of your
9 immune system or your immune system doesn't
10 function properly. PI is actually, as many people
11 on this call may know, an umbrella term that
12 represents about 450 rare conditions, but they all
13 have one thing in common -- the body's inability
14 to fight infection. And IDF has been fighting for
15 people with a Primary Immune Deficiency since
16 1980.

17 For many people with rare diseases,
18 including PI, plasma-derived therapies are the
19 only option for life-saving and really lifelong
20 treatment. In fact, it takes 130 plasma donations
21 to treat one adult for one year who's affected by
22 PI. The plasma-derived treatment for many with

1 primary immunodeficiency is immunoglobulin
2 replacement therapy -- so much so that we launched
3 Plasma Hero. It's an initiative designed to
4 educate the general public on the critical need
5 for plasma and to connect potential donors with
6 resources to get started.

7 Because of our mission, IDF is invested
8 in ensuring the safety of plasma products and of
9 plasma donors, as well as ensuring an adequate
10 supply of plasma, all through a lens of
11 transparency. And as an active member of the
12 American Plasma Users Coalition or APLUS, for
13 decades, IDF has joined other organizations that
14 rely on plasma source therapies to engage with the
15 FDA to ensure the health and safety of individuals
16 who rely on these life-saving medications. So,
17 thank you to everyone for joining the workshop
18 today, and to the FDA for inviting the Immune
19 Deficiency Foundation to participate.

20 DR. SCOTT: Thank you, Jorey. And we'll
21 now go on to the Plasma Protein Therapeutics
22 Association for remarks. And this will be made by

1 Dominika Misztela, representing the PPTA.

2 MS. MISZTELA: Good morning -- good
3 afternoon to the audience. My name is Dominika
4 Misztela, and I'm the head of Global Regulatory
5 Policy for the Plasma Protein Therapeutics
6 Association. It is my honor and pleasure to be
7 here today and say a few words on behalf of PPTA,
8 our members, and the plasma products industry.

9 So, for those of you who do not know
10 PPTA, we represent the manufacturers of
11 plasma-derived therapies, as well as recombinant
12 analogs. They are collectively referred to as
13 plasma protein therapies. We also represent the
14 collectors of source plasma, which is actually
15 then used to manufacture therapies such as
16 immunoglobulins. And as you have heard from IDF,
17 these therapies are essential, and they are used
18 by a very small patient population worldwide to
19 treat a variety of serious and rare diseases.

20 And I can only echo IDF -- our mission
21 statement is not only just a mission statement.
22 We remain committed to advocate for access to safe

1 and effective and affordable therapies to all
2 patients, and we engage in constructive dialogue
3 with regulatory agencies. And we collaborate very
4 closely with patient advocacy organizations. So,
5 first of all, many thanks from my side to the
6 organizers of this workshop, especially Dr. Scott,
7 as well as the steering committee to say a few
8 words here today.

9 So, first of all, the safety and the
10 efficacy of immunoglobulin therapies is of
11 paramount importance to the plasma industry. The
12 safety and the efficacy of these essential
13 life-saving therapies has been demonstrated and
14 well-documented over several decades now. Over
15 the past years, as you have witnessed throughout
16 COVID-19, there have been concerns with access to
17 these therapies for patients, not only in the
18 U.S., but certainly worldwide. Despite these
19 challenges, the plasma industry has continued to
20 provide safe and effective therapies to patients,
21 and is committed to ensure that patients receive
22 the treatments that they so desperately need.

1 Today, you will hear from three
2 manufacturers on their efforts over the past years
3 to identify the root causes and provide
4 explanations on what we refer to as
5 hypersensitivity reactions, which occurred with
6 specific lots of immunoglobulin therapies since
7 2018. These hypersensitivity reactions occurred
8 at a higher rate than usually associated with
9 administration of IG medications. And this
10 actually has led to voluntary withdrawals of
11 immunoglobulin therapy lots out of precaution.

12 So, the three manufacturers have since
13 then been actually working together to address the
14 root causes of these reactions, ensure the safety
15 of immunoglobulin therapies, and at the same time,
16 making sure that patients continue to receive
17 products. The work has resulted in robust
18 scientific exchanges in the form of data,
19 research, and knowledge. The individual, as well
20 as combined efforts and commitment to identify the
21 root causes of these reactions are reflected in
22 the presentations that you will see here today.

1 I can assure you that the presentations
2 you will see a little bit later on represent
3 tremendous resources, countless hours of manpower
4 and research, and experimental investigations.
5 For instance, the manufacturers have established
6 new R&D methodologies to identify the affected
7 plasma units and lots, have conducted
8 investigations into entire manufacturing chains
9 and methods, and many, many others. In addition,
10 the manufacturers have been working very closely
11 with the USFDA and other regulators to share their
12 investigations and their research.

13 With this, on behalf of PPTA, our member
14 companies, and the plasma industry, I would like
15 to assure you that we remain committed to work
16 with all stakeholders, including scientific
17 experts, the USFDA, as well as patients to ensure
18 that patients receive safe and efficacious
19 therapies, and have continuous access to those.
20 And it is my pleasure again to welcome, all of you
21 to what really looks like very interesting and
22 insightful discussions, and the insights that we

1 will gain here today. Thank you very much, and
2 good morning.

3 DR. SCOTT: Thank you very much. That
4 was -- we've had some excellent introductions.
5 There's one more introduction to go, and that is
6 mine. So, I think we'll get started with my
7 slides. I'm Dorothy Scott from the Center for
8 Biologics Evaluation Research and the Office of
9 Therapeutic Products. My division is the Division
10 of Plasma Derivatives. This is just a disclaimer
11 that my comments are informal communications and
12 represent my own best judgment, and do not bind or
13 obligate the FDA. Next slide.

14 So, what I intend to convey here is why
15 we're having this workshop and what we hope to
16 achieve, but I want to give you a little bit of
17 background. So, immune globulin lot- specific
18 hypersensitivity reactions are discovered through
19 information collected by the FDA Adverse Event
20 Reporting System. And these consist of voluntary
21 reports of adverse events for any product to the
22 Center for Biologics from manufacturers, from

1 patients, and from health care providers. Since
2 these are voluntary spontaneous reports, as you
3 will hear, we probably underestimate the number of
4 these adverse reactions.

5 Typical immune globulin hypersensitivity
6 reactions commonly involve urticaria or hives,
7 itching, other sorts of rashes, sometimes
8 oropharyngeal edema, and less commonly, more
9 serious things, such as hypotension, nausea, chest
10 pain, shortness of breath. And occasionally,
11 these are described as anaphylactic-like
12 reactions. The treatments for these are
13 diphenhydramine, corticosteroids, H1 blockers,
14 epinephrine -- and the intensity of treatments and
15 the number of treatments are based on the severity
16 of the reaction. Next slide, please.

17 So, what are the consequences of these
18 reactions? We think first about our patients.
19 And these include physical discomfort and also
20 anxiety, particularly with the more intense
21 reactions. They have to get additional
22 medications to treat the symptoms of their

1 allergic-like response. They also may be
2 undertreated, because sometimes, these reactions
3 occur during the infusion of immune globulin, and
4 the infusion very often is not completed.

5 In some cases, they may be admitted to
6 an emergency room or have to go -- or admitted to
7 the hospital. And then they're left with
8 subsequent concerns about IG treatments and if
9 they will be able to continue, and if this is
10 going to happen again. And I wouldn't
11 underestimate that particular anxiety that I'm
12 sure many patients have. With respect to the
13 product, these lot-specific hypersensitivity
14 events result in voluntary withdrawals by the
15 manufacturer of that lot of the product, which
16 includes, obviously, loss of that product, at
17 least those lots, and puts us at risk for an
18 immune globulin shortage if there must be a lot of
19 withdrawals. Next slide, please.

20 So, this is not new. Lot-specific
21 clusters of hypersensitivity events have recently
22 had a greater impact than previously, I think,

1 perhaps because -- well, there are many possible
2 factors, but perhaps because we also see increased
3 use of immune globulin over the years, and for
4 many different indications. But we do have a
5 number of historical reports of immune globulin
6 lot-specific clusters of hypersensitivity adverse
7 events in between 2004 and 2018. And you will be
8 hearing about 2018 until the present in this
9 workshop in great detail.

10 What you can see here is that we have
11 five different types of products. And these
12 encompass five different manufacturing processes
13 over the years, which implies to us that this is
14 not a process-specific problem. It could happen
15 to any manufacturer at any time. Now, in the
16 past, implicated product lots have been
17 voluntarily withdrawn. But investigations,
18 although somewhat thorough, did not reveal a root
19 cause. And I think one of the most exciting
20 aspects of today is that we're going to hear about
21 progress in these investigations to a much greater
22 extent than previously. Next slide, please.

1 So, the purpose of this workshop is to
2 describe the clinical presentation of
3 hypersensitivity reactions, to review the
4 pathogenesis of hypersensitivity reactions -- we
5 have some excellent experts here to do that in the
6 first half of the workshop -- to present
7 scientific investigations to determine the basis
8 of hypersensitivity reactions in this scenario.
9 And again, we're very grateful to our
10 manufacturers for all they have done and for
11 agreeing to present their results at this
12 workshop. We will discuss the likely causes of
13 these reactions and potential mitigation
14 strategies. And at the end, the grand finale will
15 be to seek opinions of clinical and research
16 experts on risk mitigation and directions for
17 future scientific investigations. Next slide,
18 please.

19 The workshop is structured in the first
20 part to describe the hypersensitivity events and
21 their effects on patients; in the second part, to
22 have expert presentations on the pathogenesis of

1 these types of reactions; and the third part after
2 lunch will be manufacturers research seeking root
3 causes of these hypersensitivity reactions. And
4 this will be very exciting. I promise you. And
5 lastly, we'll have the expert panel discussion,
6 including all of the workshop speakers and a
7 couple of extra experts as well. Next slide,
8 please.

9 So, the questions for the expert panel
10 are, what are the most likely biological causes of
11 these lot-specific immune globulin mediated
12 hypersensitivity reactions? What in-vitro methods
13 are most promising for identification of plasma
14 units, plasma pools, or final products that
15 contain hypersensitivity promoting activity? And
16 what can be done in the future to minimize the
17 number of patients affected by hypersensitivity
18 lots? Next slide, please.

19 So, I want to remind you, the audience,
20 of whom there are 259 present now, that you are
21 very important to this workshop as well. For
22 sessions 1 through 3, we'll have audience

1 participation, and we expect your avid attention
2 and participation in these question and answer
3 sessions and these panel sessions. If there's
4 extra time after the expert panel, the chat room
5 will be open again for audience participation.
6 It's simply a matter of time. So, hopefully, we
7 will have that time. And we welcome your comments
8 and questions and your thoughts and attention.
9 Next slide.

10 There are a number of people to thank.
11 I cannot name all of them without spending a lot
12 of time. But we wish to thank our speakers who've
13 gone to extra efforts to make these presentations
14 and spent a lot of time on them, and our
15 additional experts for the expert panel. Next
16 slide. The workshop steering committee who helped
17 formulate the agenda and gave us ideas and also
18 helped us find the expert speakers, we appreciate
19 that. Next slide. And the FDA workshop support
20 staff. And I can't say enough about their
21 assistance, their handholding, in my case, at
22 least, and their attention to making this workshop

1 work in the virtual format. Next slide.

2 So, I want to thank you, again,
3 everybody. And now, we're going to proceed to a
4 description of the patient experience with these
5 hypersensitivity events. And we're very fortunate
6 to have Kara, who is a regular recipient of immune
7 globulin infusions, to tell us what these
8 reactions are like and to remind us that we're
9 doing all of this for the patients. Kara?

10 KARA: Hi there.

11 DR. SCOTT: Hi.

12 KARA: I was asked to share my
13 experience with you, which occurred on September
14 19, 2022. I'm treated for CVID with IVIG every
15 four weeks. My first IVIG was July 10, 2019. And
16 in September of this past year, for the end of my
17 infusion, I experienced a rash waist-up, including
18 my scalp, shortness of breath, and a cough. So,
19 we stopped the treatment. And I was treated with
20 Allegra, Pepcid, Benadryl, steroids, and they
21 observed me for a couple of hours.

22 I went home and was pretty much up all

1 night with shortness of breath, and hard to
2 breathe. I should have gone to the emergency
3 room, but I didn't. But what ensued was a
4 bronchial -- I would say, bronchial event for two
5 weeks after that, which was very bad. But that's
6 what happened. And so, subsequently, my
7 treatment, I get pretreatment of steroids, Zyrtec.
8 We've changed from Gamunex to Gammagard. And I
9 get the slowest possible pace. And so far, that's
10 been going well. So, that's -- that was my
11 experience.

12 DR. SCOTT: Yes. And I'd like Dr.
13 Cunningham-Rundle say -- make comments. But I did
14 want to ask you if you'd ever experienced this
15 before in your years of infusions with immune
16 globulin.

17 KARA: No, never.

18 DR. SCOTT: And I think you may have
19 mentioned that they had the epinephrine out when
20 you --

21 KARA: Yes.

22 DR. SCOTT: -- were experiencing this

1 reaction, and there was obviously a question of
2 whether or not to treat you in that fashion.

3 KARA: Yes, that was the question. So,
4 they observed me, and maybe I should have, but
5 anyway --

6 DR. SCOTT: Dr. Cunningham-Rundles, did
7 you have any remarks?

8 DR. CUNNINGHAM-RUNDLES: Well, Kara is a
9 -- has been a patient here of ours at Mount Sinai
10 before she, during the COVID period, relocated for
11 different reasons to Boston, where she was getting
12 infused during that period. And at the Mount
13 Sinai Center, using basically the same product and
14 the same rate with no premedication, she had not
15 had reactions. So, this was very unlooked for.
16 In the Boston area, she's at a very good medical
17 connection there. And I think it was a complete
18 surprise that she had that.

19 Kara, you -- what was your subsequent
20 infusions? Just remind the group. You had this
21 one on that date. And then what about subsequent
22 infusions?

1 KARA: I was pretreated with really all
2 that stuff, the steroids -- well, Tylenol, I've
3 always taken -- Zyrtec. And they added fluids,
4 and we changed the product.

5 DR. CUNNINGHAM-RUNDLES: Right.

6 KARA: And it slowed the rate down. So,
7 it's now, like, a five-hour infusion instead of,
8 you know, two and a half.

9 DR. CUNNINGHAM-RUNDLES: And so, you've
10 had no more reactions, or you've had --

11 KARA: So far, no. So far, no.

12 DR. CUNNINGHAM-RUNDLES: So, it was one
13 reaction only to this one occasion?

14 KARA: Correct.

15 DR. CUNNINGHAM-RUNDLES: Right. The
16 other thing, which, to me, was very interesting,
17 which was that you had asked if they had reported
18 it. And the answer was no.

19 KARA: Correct.

20 DR. CUNNINGHAM-RUNDLES: And so --

21 KARA: The infusion center said, we
22 don't do that. And the doctor's office said, your

1 infusion was given at the infusion center, so they
2 would be the ones to report it. But we don't do
3 that. So --

4 DR. CUNNINGHAM-RUNDLES: I see. So, I
5 found that interesting myself, is that it just
6 points out that there's so little that we know
7 about reactions that occur, to be honest, because
8 everyone thinks it's not their job, I think.

9 KARA: That was my feeling too.

10 DR. CUNNINGHAM-RUNDLES: Okay.

11 KARA: But it was scary. It was very
12 scary.

13 DR. CUNNINGHAM-RUNDLES: Yeah. It is
14 scary. Are you still scared when you go get it?

15 KARA: Oh, goodness. Yes.

16 DR. CUNNINGHAM-RUNDLES: You are still?

17 KARA: Yeah, but I'll still go. Yes.

18 DR. CUNNINGHAM-RUNDLES: Oh, my. Okay.

19 KARA: But I'll never get a home
20 treatment. That's for sure.

21 DR. CUNNINGHAM-RUNDLES: That's another
22 very important point to bring out, I think, is

1 that it sort of limits your choices and certainly
2 cuts out one more long blast of time in every
3 month.

4 KARA: Right.

5 DR. CUNNINGHAM-RUNDLES: Yeah. Yeah,
6 those are just important points to bring out.

7 KARA: Yeah, I always want to be in a
8 medical facility.

9 DR. CUNNINGHAM-RUNDLES: Yeah.

10 DR. SCOTT: Well, Kara --

11 DR. CUNNINGHAM-RUNDLES: So, thanks,
12 Kara, for sharing that, because --

13 KARA: Sure.

14 DR. CUNNINGHAM-RUNDLES: -- we have to
15 check. It's a reality here.

16 KARA: Well, it's -- I hope it's
17 helpful.

18 DR. CUNNINGHAM-RUNDLES: Okay.

19 KARA: And keep up the good work.

20 MS. NORTON: This is Margaret Norton.
21 Dot, we have some questions from the audience.
22 Would you like to read them now? They're for

1 Kara, actually.

2 DR. CUNNINGHAM-RUNDLES: Good.

3 MS. NORTON: I can read them if you'd
4 like.

5 DR. SCOTT: Okay.

6 MS. NORTON: Yeah. The first one is,
7 "Can the patient describe how the adverse events
8 affected her life besides physically? For
9 example, did it impact work?"

10 KARA: It impacted work in that I really
11 couldn't work that week very well. And the
12 bronchial response that I had -- I do have asthma,
13 very bad asthma, just so you know. The bronchial
14 response I had really -- I was in bed for the rest
15 of the week. And the following week, I was able
16 to, you know, sort of get out of bed, but I was
17 really limited.

18 DR. CUNNINGHAM-RUNDLES: Yeah.

19 MS. NORTON: And there's one more.

20 "Thank you, Kara, for sharing your experience.
21 Have I understood correctly, in order to prevent
22 recurrence of the reaction, you now receive IVIG

1 at a very slow infusion rate?"

2 KARA: That's one of the things that
3 they do, but I also receive Solu-Medrol and Pepcid
4 and Zyrtec, which I had not previously done
5 regularly.

6 DR. CUNNINGHAM-RUNDLES: Yeah.

7 MS. NORTON: And one more just came in.
8 "Did the patient report adverse reaction to the
9 FDA or manufacturer?"

10 KARA: The patient did not. No.

11 MS. NORTON: That's all we have for now.
12 Thank you.

13 KARA: Well, I'm reporting it now.
14 How's that?

15 (Laughter)

16 DR. SCOTT: So -- okay. And -- right.
17 I think the other thing to mention is that Kara
18 already said she switched products as well.

19 KARA: There was a recommendation to
20 make sure that the lot number was different. And
21 then we sort of furthered that and said, let's
22 just change product.

1 DR. SCOTT: Right.

2 KARA: Right.

3 DR. SCOTT: Well, thank you very, very
4 much, Kara. This is a reality check, and we
5 appreciate you coming to do -- coming to speak
6 with the audience. And I think everybody
7 appreciates it, because --

8 KARA: No problem. Thank you.

9 DR. SCOTT: Okay.

10 KARA: Thank you for having me.

11 DR. SCOTT: All right.

12 KARA: Have a good day. Thank you.

13 DR. SCOTT: You too. Bye-bye. So,
14 we're going to move on to our first presentation.
15 This is by Dr. Meghna Alimchandani. She's going
16 to talk about the clinical presentation of these
17 hypersensitivity reactions that have been reported
18 before or after immune globulin infusions. And we
19 can -- you can start.

20 DR. ALIMCHANDANI: Sorry. Can you hear
21 me okay?

22 DR. SCOTT: Yes.

1 DR. ALIMCHANDANI: Okay, great. Okay.
2 So, good morning, everyone. My name is Meghna
3 Alimchandani. I work in the Division of
4 Pharmacovigilance, which is within the Office of
5 Biostatistics and Pharmacovigilance in CBER. And
6 our role is to conduct post-marketing safety
7 monitoring for all of CBER's regulated products,
8 which includes the immune globulins.

9 I wanted to thank Ms. Glynn for sharing
10 her personal experience of the allergic reaction
11 that she experienced. And as Kara said, her
12 report was not supported -- was not submitted to
13 the FDA or the manufacturer. I just want to
14 remind people again that anyone can submit
15 MedWatch reports to the FDA. And it is important
16 to include the lot number if you have that
17 information available.

18 So, the goal of my presentation is to
19 provide an overview of the clinical presentations
20 of immediate hypersensitivity reactions that have
21 been reported to the FDA Adverse Event Reporting
22 System or FAERS during or after immune globulin

1 infusion. Next slide, please. This is my
2 informal communication disclaimer. Next slide.

3 Okay. So, this is the outline for my
4 presentation. We will go over some background
5 information for the IG product class. Then I will
6 spend a few minutes providing an overview of the
7 pharmacovigilance for immune globulins. Following
8 this, we will focus on the post-marketing adverse
9 event data from our FAERS database. And again, to
10 keep in mind, there is underreporting always in
11 post-market safety reporting. We will provide
12 some examples of clinical presentations of
13 hypersensitivity reactions that have been reported
14 during or after IG infusion, and we will end with
15 summary and conclusions. Next slide. Okay, next.

16 Okay. So, IG products are manufactured
17 from very large pools of human plasma.
18 Hypersensitivity reaction is a known risk for this
19 product class. So, very important to keep in mind
20 this is a known risk. It's labeled. And I will
21 show you a sample package insert for the -- to
22 show you examples of the sections of the label

1 that include information about allergic reactions.
2 Another thing to keep in mind is that some
3 subcutaneous IGs may be associated with increased
4 local infusion reactions compared to intravenous
5 IGs.

6 And the third bullet on the slide
7 highlights the goal for this workshop, as
8 introduced earlier by Dr. Scott and others. So,
9 from time to time, we have seen temporal
10 clustering of immediate hypersensitivity reactions
11 with specific lots. And we have seen this with
12 different IG products -- both IV and subcutaneous
13 products. This occurs episodically and
14 unpredictably, as Dr. Scott said, leading to
15 voluntary lot withdrawals in some cases. Next
16 slide, please.

17 Okay. So, the next couple of slides
18 display different brands of immune globulin
19 products manufactured by different sponsors.
20 Information on these products is available on CBER
21 webpages. The point that I wanted to make is that
22 we have many different products in this class that

1 are approved for different routes of
2 administration, either intravenous or
3 subcutaneous, and that are approved for many
4 different indications as well. Examples of
5 approved indications include primary humoral
6 immunodeficiency, chronic inflammatory
7 demyelinating polyneuropathy or CIDP, idiopathic
8 thrombocytopenic purpura or ITP, multifocal motor
9 neuropathy, dermatomyositis, et cetera. Next
10 slide.

11 Okay. This is just another continuing
12 the listing of IGs. Next -- yes, thanks. So,
13 this slide is displaying sample sections of the
14 label that include information on hypersensitivity
15 reactions for IGs. They are contraindicated in
16 those who have previous history of allergic
17 reactions to human IG. And notably, information
18 is included under warnings and precautions. And
19 I'm going to read it out to you.

20 So, "Severe hypersensitivity reactions
21 may occur with IGIV products, including whichever
22 the product is that the label belongs to. In case

1 of hypersensitivity discontinue the product
2 infusion immediately, institute appropriate
3 treatment, have medications, such as epinephrine,
4 available for immediate treatment of acute
5 hypersensitivity reaction." And you will find
6 some wording similar to this in all of the IG
7 labels.

8 It is also described in the adverse
9 reaction section of the label, if there are
10 post-marketing reports of hypersensitivity, it
11 will be included under the subsection 6.2,
12 post-marketing experience. And if there were
13 hypersensitivity reactions in clinical trial
14 subjects, then that would be included under
15 subsection 6.1, clinical trials experience. So,
16 again, the point is that this is a known risk with
17 IG products. What is -- the clustering is what is
18 unexpected. Next slide, please.

19 Okay. So, the next few slides, I'm
20 going to give you an overview of the
21 pharmacovigilance activities to monitor the
22 post-market safety of immunoglobulins. So,

1 pharmacovigilance is defined by the World Health
2 Organization as the science and activities related
3 to the detection, assessment, understanding, and
4 prevention of adverse drug effects or any other
5 possible drug-related problems.

6 FDA's pharmacovigilance database for
7 therapeutic products is FAERS -- the FDA Adverse
8 Event Reporting System or FAERS. This is a
9 passive surveillance database. These are
10 spontaneous reports that are reported voluntarily,
11 which is very different from adverse events from a
12 study where you would have solicited reporting of
13 adverse events from active follow-up of patients.

14 We have two broad sources of reports.
15 We have manufacturer reports, and we have
16 non-manufacturer reports. The non-manufacturer
17 reports are also known as direct reports. They
18 are submitted by the public by patients,
19 healthcare providers. We have numerous reports
20 for allergic reactions, for example, submitted by
21 pharmacists.

22 The sponsor -- for the manufacturer

1 reports, the sponsor has to comply with mandatory
2 reporting requirements under our Code of Federal
3 Regulations. The manufacturer submits expedited
4 reports, what are also known as 15-day reports,
5 for serious and unlabeled events, or if FDA has
6 required expedited reporting for a particular
7 adverse event of special interest. So, this is
8 important, because hypersensitivity, as I showed
9 you, is a labeled event. So, even serious events
10 of hypersensitivity would fall under that umbrella
11 of serious and labeled events, and would not
12 necessarily be submitted as expedited reports to
13 the FDA, unless we have made that requirement of
14 the sponsor.

15 So, the database includes serious and
16 non-serious reports. An adverse event is
17 considered serious if it results in any of the
18 following outcomes -- death, a life threatening
19 event, in-patient hospitalization, prolongation of
20 hospitalization, disability, congenital anomaly,
21 birth defect, or other medically important events.
22 Next slide, please.

1 Okay. So, it is important to understand
2 the strengths and limitations of this passive
3 surveillance database, because it provides
4 important context for the FAERS data that I will
5 be showing you in subsequent slides. So, firstly
6 keep in mind that FDA accepts adverse event
7 reports regardless of the plausibility of the
8 product causing the event or the clinical
9 seriousness of the event. And there are many
10 strengths and limitations of this database.

11 One of the major strengths which we have
12 seen time and again with the immunoglobulin
13 products is that we can rapidly detect potential
14 safety issues. There is a dramatic difference in
15 reporting trends when we do have lots that are
16 associated with a spike of hypersensitivity
17 reactions. We can also detect rare AEs on this
18 database. It is open-ended for hypothesis
19 generation. There is great geographic diversity
20 not only within the U.S., but we also have foreign
21 reports that the manufacturer submits. And we
22 have, again, an example. A very good example of

1 this capability to monitor product lots is the
2 story of the immunoglobulins and how we are able
3 to detect these impacted lots.

4 Now, there are many limitations of the
5 passive surveillance database. One of the key
6 limitations is that we often have missing or
7 inaccurate data, incomplete data. Again, this is
8 not a report that is being generated from a
9 clinical trial. So, there is often missing data.
10 If we don't have the lot number, then we cannot do
11 a lot analysis. So, very important to provide as
12 much information as possible in these spontaneous
13 adverse event reports.

14 Another important point is that the
15 reported diagnoses are not verified. We are not
16 -- the anaphylactic reactions or the allergic
17 reactions that you hear me talking about, we have
18 not confirmed them with Brighton case definitions.
19 We are taking the diagnoses as they are coming in
20 these spontaneous adverse event reports.

21 And as you saw from Kara's story, there
22 is underreporting. Not all adverse events are

1 reported to the FDA or the manufacturer, and there
2 is reporting bias in different forms. We may have
3 stimulated reporting or may have -- or we have
4 underreporting.

5 There is no control groups. So, there
6 is absence of control group. Patient exposure
7 data may not be available. And this is especially
8 true for the IG products. The manufacturer is
9 able to provide us with distribution data for the
10 quantity of IG distributed over a period of time.
11 But because the dosage varies so much by
12 indication and the age of the patient and the
13 weight of the patient, it is not possible to
14 really estimate a patient exposure. So, we don't
15 have any denominator.

16 And this is often a challenge. And I
17 often remind people, we cannot calculate incidence
18 rates from passive surveillance data. There's not
19 incidence rates, but we can look for trends in
20 reporting. And we'll go over that more on the
21 next slide. Next slide, please. Thanks.

22 So, this next slide discusses some of

1 the things we look for during our review of FAERS
2 reports of hypersensitivity reactions for IG
3 products. In screening post-market data, we take
4 into consideration the baseline reporting rate
5 across lots over time. And this information is
6 very product-specific, because reporting practices
7 vary. Use of the product, market shares, may
8 vary.

9 So, it is really product-specific
10 information. The baseline for one product may not
11 be the same as for a different product. We
12 conduct analysis by lot to see if there are spikes
13 in reporting for only certain lots. And I have a
14 sample graph on the next slide to display this.

15 We look at the seriousness of the event,
16 the source of the reports. There are manufacturer
17 reports that include, as I said before, U.S. and
18 foreign reports. And then there are
19 non-manufacturer reports from the public. We take
20 into consideration whether the reports are coming
21 from a single site or a single reporter versus
22 multiple sites and multiple reporters to see if

1 there is any bias in -- for -- by reporter.

2 And we also take into consideration the
3 route of administration. We try to understand if
4 there is some factor that is leading to stimulated
5 reporting. For example, after one lot withdrawal
6 has been initiated, or if there are public
7 postings that have been made, we will likely see a
8 spike in reporting.

9 Another big factor that I want to
10 mention that impacts reporting is whether the IG
11 lot was distributed by a patient support program.
12 And this often happens. And if it was, then the
13 patient support program may be a source of
14 solicited reporting. And this may lead to a
15 dramatic increase in the number of reports,
16 because, again, this is solicited reporting.
17 There is patient contact by representatives of the
18 patient support program who are asking actively
19 about adverse events and reporting that to the
20 FDA.

21 Okay. And then the last bullet is the
22 information that we seek from the manufacturer as

1 we do our evaluation of concerning trends. And
2 these are some of the things that we ask about --
3 were there any manufacturing deviations, are you
4 able to identify additional lots that contain some
5 IG derived from the same plasma pools as the
6 implicated lot, the dates of manufacture,
7 distribution, and expiration, the number of vials
8 in the lot, which can vary very widely, what is
9 the manufacturer's estimate of how many vials are
10 still in circulation, et cetera.

11 And then, finally, the sponsors' root
12 cause analysis and considerations for lot
13 withdrawal are discussed with the FDA, and
14 potential thresholds for -- that would trigger
15 signal evaluation or lot withdrawal for future.
16 Okay. Next slide.

17 Okay. So, this is a graphical -- this
18 is a sample. It's not any real product. This is
19 a sample graphical display, but it shows you the
20 hypersensitivity reactions by lot for a -- over a
21 given time period. So, on the X axis, you have
22 the IG lots. On the Y axis, you have the number

1 of hypersensitivity reports. And there are two
2 things you can see. Firstly, the baseline
3 reporting rate is very low for this product. Most
4 lots have zero to two hypersensitivity reports.
5 And secondly, when you have a temporal clustering
6 of reports with implicated lots, that's indicated
7 by the red arrows, the spikes in reporting are
8 very dramatic and way higher than the baseline
9 reporting rate. Next slide.

10 Okay. So, as you saw from the last
11 slide, because there is such a dramatic difference
12 in the reporting trends for implicated lots
13 compared to the lots that are not withdrawn, this
14 slide shows a suggested approach for
15 considerations of thresholds to initiate signal
16 evaluation and investigation, which may lead
17 ultimately to lot withdrawal. So, one could
18 potentially compare the data on the
19 hypersensitivity reports from non-withdrawn lots
20 and compare that to the implicated lots that were
21 withdrawn. And we can look at the total number of
22 hypersensitivity reports -- mean, median,

1 percentiles, ranges. And using this information,
2 we can arrive at a threshold for the number of
3 hypersensitivity reports that would trigger
4 additional assessments. And again, it is
5 important to point out that this type of data is
6 very product-specific. So, thresholds such as
7 this would vary from product to product. Next
8 slide.

9 Okay. So, we will spend the next few
10 slides looking at post-marketing data on
11 hypersensitivity reports for IG products. Next
12 slide, please.

13 Okay. So, Dr. Scott went over the IG
14 lot withdrawals that happened prior to 2018. And
15 then this slide shows some of the more recent IG
16 lots that have happened from 2018 to date. So,
17 one very important point to keep in mind is that
18 not all of these lots were associated with
19 increased reporting of hypersensitivity reactions.
20 Some of the lots were indeed associated with
21 increased reactions and withdrawn by the sponsors.
22 Additional lots were also withdrawn by

1 the sponsors as a precautionary measure, or
2 quarantined if initial assessments revealed that
3 they may contain immunoglobulin derived from the
4 same plasma pools as the implicated lots. So, I
5 really want to stress this point that several lot
6 withdrawals in this list were implemented by the
7 sponsors out of an abundance of caution as a
8 precautionary measure. Next slide.

9 Okay. So, the next few slides capture
10 slices of FAERS data for a specific time period
11 for different withdrawn lots. And the point of
12 these slides is to give you a flavor of the
13 clinical presentation of immediate
14 hypersensitivity reactions that have been reported
15 for lots that subsequently underwent voluntary lot
16 withdrawal. And I wanted to point out some
17 caveats. This is not a comprehensive analysis.
18 You should think of this as a snapshot of FAERS
19 data for different IGs. And you will see that the
20 outcomes are reported in slightly different ways
21 for different products on these slides, because
22 over time, reviews of adverse events were

1 classified in slightly different ways.

2 I also want to take a moment to thank my
3 colleagues in the Office of Biostatistics and
4 Pharmacovigilance, Division of Pharmacovigilance,
5 who provided the data for these slides and who
6 spent hours carefully reviewing and analyzing the
7 very large volumes of adverse event reports and
8 the sponsor submissions that were related to the
9 lot withdrawals. So, I'm presenting, really, on
10 behalf of a large pharmacovigilance team. Thanks.

11 Okay. So, this first slide goes over
12 the FAERS data for Gamunex-C. So, beginning in
13 2018, an increased reporting rate of
14 hypersensitivity reactions was identified for
15 specific lots of Gamunex-C. And this slide
16 captures information for the first eight lot
17 withdrawals that occurred from 2018 to '19. There
18 were a total of 23 lots of Gamunex-C that were
19 withdrawn from the market between 2018 and 2021.

20 Gamunex-C was also presented to the
21 Pediatric Advisory Committee in 2020. And the lot
22 withdrawals were discussed at the public meeting

1 as well. And another thing to keep in mind is
2 that Gamunex-C may be administered via IV or
3 subcutaneous routes depending on the indication.
4 It is IV only for ITP and CIDP indications, and IV
5 or sub-Q for a primary humoral immunodeficiency.

6 So, as you can see from this slide,
7 there were 271 reports of hypersensitivity events,
8 and 39 percent or 107 were serious adverse events.
9 There were no deaths due to hypersensitivity
10 reports. We had pediatric as well as adult
11 reports. The most common events were urticaria,
12 pruritus, rash, lip swelling.

13 The serious adverse events included
14 dyspnea, bronchospasm, laryngeal edema, throat
15 tightness, swelling, lip and/or tongue swelling,
16 airway closure. Onset was -- again, there is
17 strong temporal association. Onset was during
18 infusion or shortly thereafter. Some resolve
19 spontaneously. Others require treatment on-site
20 or in the emergency department with antihistamines
21 or steroids.

22 Another thing that we noted during

1 review of these reports was that the pretreatment
2 protocols really varied by institution, and that
3 really impacts the severity of the reaction. So,
4 some patients had been pretreated and others had
5 not. Okay. Next slide.

6 Okay. So, the next two slides go over
7 the FAERS data for Privigen, which has IV route of
8 administration. So, as you can see from this
9 slide, there were 261 reports of hypersensitivity
10 adverse events, of which, about a little bit more
11 than half, to 59 percent, were serious events.
12 Again, there were no deaths due to an allergic
13 reaction. The most common adverse events were
14 urticaria rash, infusion-related reaction -- and
15 the next slide actually shows you a graph of the
16 top referred terms that we see in the FAERS
17 reports.

18 Serious adverse events included dyspnea,
19 lip swelling, anaphylactic reaction. We had
20 reports in pediatric patients and adults. Time to
21 onset, again, is during infusion or shortly
22 thereafter. And there were patients who were

1 hospitalized and required intervention. Next
2 slide. So, this is just showing a graphical
3 display of the common preferred terms that were
4 seen with the withdrawn lots. Next slide.

5 Okay. So, Hizentra is a subcutaneous
6 IG. From this -- this is a slide of --
7 summarizing data from two withdrawn lots. There
8 were 73 cases of hypersensitivity adverse events,
9 and this exclude any duplicate reports. About 41
10 percent were serious events. Again, there were no
11 deaths causally related to an allergic reaction.
12 The most common events were infusion
13 site/injection site erythema, infusion site
14 pruritus, infusion site swelling, injection site
15 pruritus, injection site swelling. So, a lot of
16 local infusion site reactions that we see with a
17 subcutaneous product like Hizentra.

18 About 20 percent cases reported systemic
19 symptoms, including four cases with airway
20 symptoms, throat tightness, dyspnea, mild
21 shortness of breath, tongue swelling. There were
22 no cases reported of epinephrine administration,

1 anaphylaxis, or hospitalization from this data
2 set. And the age range, again, included pediatric
3 and adult patients. Next slide.

4 Okay. So, the next slide, this is data
5 summarized for Octagam 10 percent. There were 39
6 cases -- and this is an IV administration. There
7 were 39 cases of hypersensitivity adverse events,
8 of which, 64 percent were serious events. There
9 were no deaths due to allergic reactions.
10 Majority of reports categorized as serious
11 involved rash, pruritus -- most were treated with
12 Benadryl, Pepcid, steroids, and recovered on the
13 same day. So, again, there is some judgment in
14 submission of serious reports and what is
15 categorized as serious by the reporter.

16 One lot involved anaphylaxis and use of
17 epinephrine. The age range was -- the -- it was
18 27 to 88 years old. So, it reported adults. And
19 again, time to onset shows close temporal
20 association with the infusion. Next slide.

21 Okay. So, moving on to summary and
22 conclusions. Next slide. Okay. So, what have we

1 learned from our post- marketing experience with
2 lot analysis for IG products? There are many
3 factors impacting reporting to the FAERS database.
4 And again, it depends on the use of the product,
5 the market shares of different brands, reporting
6 practices vary. There may be stimulated
7 reporting, solicited reporting through patient
8 support programs, and underreporting.

9 Another thing that we learned is that
10 clinical practice varies. And this varies by
11 institution with respect to premedication prior to
12 IG infusion. And this really has an impact on the
13 severity and the seriousness of the
14 hypersensitivity reaction. From the withdrawn
15 lots, we saw that the majority of hypersensitivity
16 reactions were non- serious, and the most common
17 are rash and pruritus. But it covers the spectrum
18 from rash to anaphylaxis with systemic symptoms
19 that can be life-threatening -- lead to life-
20 threatening events. To death, there are no deaths
21 that were attributed to an allergic reaction. And
22 then clustering was not associated with indication

1 or route of administration. Next slide.

2 Okay. So, FDA, you know, and
3 manufacturers work very closely. And you'll hear
4 more about this during the workshop, very -- work
5 very closely on these safety signals. So,
6 voluntary lot withdrawals may be initiated by the
7 manufacturer. Website postings and communications
8 may be done by the sponsors. FDA may post safety
9 communications and potential signals of serious
10 risk. And I'll show you a few examples of the
11 postings that FDA has done.

12 We may require enhanced
13 pharmacovigilance for a period of time, given that
14 you would not [ordinarily have to] to submit
15 expedited reports, since this is a labeled event.
16 We may say that for reports, after lot withdrawal
17 has occurred for a particular period of time,
18 submit all reports of hypersensitivity, including
19 the non-serious reports, as 15-day reports. And
20 this reduces the lag period in FDA being able to
21 review these types of reports.

22 FDA may also ask the sponsor to propose

1 certain criteria for a threshold to initiate
2 signal evaluation and consider lot withdrawals.
3 And this is really based -- this is
4 product-specific data. It would be based on a
5 baseline historical data about baseline reporting
6 rates of hypersensitivity compared to the
7 reporting rates for the withdrawn lots.

8 And then, finally, the sponsor conducts
9 root cause investigation and provides updates to
10 the FDA. So, very important message is that FDA
11 and the sponsors work collaboratively on signal
12 identification and evaluation, risk communication,
13 and mitigation. Next.

14 Okay. So, this is an example of a CBER
15 safety communication that we did. This is on the
16 CBER webpage, listing out all the voluntary lot
17 withdrawals of IGIV and IGSC that were associated
18 with increased reports of allergic and
19 hypersensitivity reactions. Next slide.

20 And then we provide some information on
21 the webpage about reporting to the FDA as well and
22 what to do with -- if you have a withdrawn lot in

1 your stock. Next slide.

2 And this is an example of what is known
3 as a 921 posting. This is a posting for a
4 potential signal of serious risk that was
5 identified by FAERS data. And this is a posting
6 that was done in 2019 for Gamunex-C.

7 And I believe that is my last slide.
8 Thanks so much. Back to you, Dr. Scott. Happy to
9 take any questions.

10 DR. SCOTT: Thank you very much, Dr.
11 Alimchandani. And let's see if we have any
12 questions.

13 MS. NORTON: Dot, would you like me to
14 read those?

15 DR. SCOTT: Yes, please.

16 MS. NORTON: Okay. So, we have some
17 questions for Meghna, but also one more for the
18 patient. So, maybe I could ask that one at the
19 end. So, we have, "Does the FDA collect data on
20 the ABO blood group of recipient and reported
21 reactions?"

22 DR. ALIMCHANDANI: No, the -- we don't.

1 We don't. We -- there is information that you can
2 provide in the narrative when you submit MedWatch
3 forms, but we don't collect that information.

4 DR. SCOTT: Okay. The next question is,
5 "What is the difference between a withdrawal
6 versus a recall?"

7 DR. ALIMCHANDANI: That's a really good
8 question. Let me read out to you. Give me one
9 second. Okay. So, I'm going to read out to you.
10 This is from the FDA's -- from the CBER webpage
11 for recalls. So, Recalls are a firm's removal or
12 correction of a marketed product that the FDA
13 considers to be in violation of the laws it
14 administers, and against which the agency would
15 initiate legal action -- for example, seizure.
16 Recalls may be conducted on a firm's own
17 initiative by FDA request or by FDA order under
18 statutory authority.

19 Market withdrawals are a firm's removal
20 or correction of a distributed product, which
21 involves a minor violation that would not be
22 subject to legal action by the FDA, or which

1 involves no violation. So, really, for these lot
2 withdrawals, there were no [relevant]
3 manufacturing deviations. And you will hear later
4 the complex process for identifying the root
5 cause. So, the violation -- there was no
6 violation at the level that would initiate a
7 recall. And I don't know, Dr. Scott, if you have
8 other comments on that.

9 DR. SCOTT: Well, I would say that the
10 firms involved have always been agreeable to a
11 voluntary withdrawal, that they initiate. And
12 that's the way it's been working at least since
13 2004. We present them with the information, and
14 sometimes, they come to us, and they have received
15 a lot of adverse event reports that we haven't
16 received. And those naturally would flow to us in
17 a longer time frame, but they will bring it to our
18 attention. So, either FDA or the manufacturer
19 discovers these clusters, or both, very often
20 both, and then we discuss the need for voluntary
21 withdrawal, which then takes place.

22 MS. NORTON: Okay. The next question

1 is, "Injection site pruritus is not included in
2 SMQ for HSN/anaphylactic reactions. Is this event
3 considered as so?"

4 DR. ALIMCHANDANI: So, the question was
5 that it's not -- why is it not included in the
6 SMQ? I'm sorry, I missed the --

7 MS. NORTON: It says it's -- "is not
8 included." And --

9 DR. ALIMCHANDANI: Okay. So, we are
10 really looking at -- we're not just doing the
11 queries by the SMQs. We're looking at any
12 hypersensitive-related AEs. So, it's really a
13 very broad approach when we're looking at these
14 reports.

15 MS. NORTON: Okay. The next one is, "Is
16 site of care taken into consideration when
17 monitoring adverse events?"

18 DR. ALIMCHANDANI: The -- as in whether
19 the infusion happened in the hospital site or
20 whether it happened at home? Yes, if that
21 information is available in the report, we will
22 take it into consideration. And it is -- you

1 know, it is more scary for the withdrawn lots if
2 it is something that the patient is administering
3 at home.

4 MS. NORTON: Okay. The next one is,
5 "Does FDA have experience of any similar adverse
6 events patterns associated with parenteral
7 infusion of other large volume products, not IVIG,
8 not plasma-derived?"

9 DR. ALIMCHANDANI: No. We haven't seen
10 this pattern. It's really been a pattern for the
11 IG products.

12 MS. NORTON: Looks like we have two
13 more, and then a raised hand. "Does the FDA
14 receive data from EMA for those products that are
15 marketed in the U.S. and in the EU also?"

16 DR. ALIMCHANDANI: Mm-hmm. No, that's a
17 good question. So, we have received information
18 from sponsors, for sure, that have -- you know,
19 that are selling the product outside U.S. And
20 occasionally, there will be -- the root cause
21 investigation involves product both from the U.S.
22 As well as outside U.S. So, we have that data

1 from the sponsor, and that comes up when we
2 discuss that with the sponsors. We do have
3 standing meetings with EMA, and we have had
4 meetings before on discussions of these allergic
5 reactions associated with specific lots. So,
6 there is, you know, information being exchanged
7 with the EMA, and the sponsor also provides us
8 with foreign information when it's relevant.

9 MS. NORTON: How is a cluster of adverse
10 events defined per FDA?

11 DR. ALIMCHANDANI: Yeah. There is no
12 formal definition for a cluster of adverse events.
13 As you saw from the graph, you know, we're looking
14 at reporting trends, and it becomes very obvious
15 when there is a sudden increase in adverse events
16 for a particular lot. And as Dr. Scott mentioned,
17 you know, sometimes, the sponsor sees it first.
18 If they're not doing expedited reporting, we may
19 not have eyes on those reports until they let us
20 know. And sometimes, we see it first, because we
21 get direct reports from healthcare providers that
22 the sponsor hasn't seen and that have come to the

1 FDA directly. And then we share that with the
2 sponsor through FOIA requests.

3 So, it is very -- it becomes very
4 obvious very quickly once we see, you know -- it
5 sort of snowballs. One day, you have 10 reports.
6 And you do a query in a couple of days, and you
7 have 30 reports. And for that particular product,
8 maybe the baseline is zero to two reports. So,
9 that is -- you know, that is how we do our
10 analysis for a cluster, but there is no formal
11 definition that FDA has.

12 MS. NORTON: Okay. I see Dr. MacGlashan
13 has his hand up.

14 DR. MACGLASHAN: Yes. Hi. Quick detail
15 in -- did your team who was reviewing the -- these
16 reports differentiate between a reaction that was
17 occurring during administration versus afterward
18 in the context of IV administration versus sub-Q?
19 And I'm just getting at the rapidity of the
20 response and whether the sub-Qs -- the reported
21 reaction occurs after the sub-Q administration
22 maybe because of diffusion time or something like

1 that versus IVIG, where -- I mean, where it's
2 coming in IV. And -- just a question whether you
3 could see that detail there.

4 DR. ALIMCHANDANI: Mm-hmm. So, you
5 know, for Hizentra, it is sub-Q only. And there
6 were more -- you know, there were these local
7 infusion reactions. There were just more of
8 these. And many of them were nonserious when you
9 compare that to the IV products.

10 For Gamunex-C, we tried to do a little
11 bit of what you were saying, Dr. MacGlashan,
12 because Gamunex-C is administered both IV and
13 sub-Q, depending on the indication. We did not
14 find a pattern. And that may be because we often
15 have, you know, missing information in these
16 reports. So, I think, you know, that sort of, you
17 know, prevents us from seeing a pattern. I think
18 it's a good hypothesis --

19 DR. MACGLASHAN: Okay.

20 DR. ALIMCHANDANI: -- what you're
21 saying. We just didn't see it. We didn't look
22 for it. And often, as I said, we just don't have

1 all the data in the FAERS reports.

2 DR. MACGLASHAN: Okay. Thank you.

3 MS. NORTON: We have four more
4 questions. Do we have time for those?

5 DR. SCOTT: Let's just -- well,
6 basically, we have one minute left. So, for
7 questions that haven't been answered, we will also
8 have a list of all the questions in the chat room.
9 And we'll endeavor to answer those if there is
10 time, or after the meeting. So, why don't we do
11 two.

12 MS. NORTON: Okay. I have a comment
13 from Caroline Voltz from EMA. She says, "At EMA,
14 we have not received reports on hypersensitivity
15 reactions from the companies. Perhaps the
16 question to be asked to the companies if they can
17 explain why this is the case." Perhaps later on?

18 SPEAKER: Yeah, very loaded question.
19 That's fine. Let's --

20 DR. SCOTT: Yeah, I think that's for
21 later on. Yes. Mm-hmm.

22 MS. NORTON: Okay.

1 DR. SCOTT: It's a good question, but
2 we'll get into that -- we can get into that under
3 the manufacturers' discussions in the afternoon.

4 MS. NORTON: Okay. And then one more.
5 I guess if we have -- so, there's three more, but
6 I'll read one more. "Did you take a closer look
7 at the cases with pretreated patients, in
8 particular, in comparison to those who did not
9 receive premedication?"

10 DR. ALIMCHANDANI: Yes, we did. And the
11 reviewer for Gamunex-C, for the initial lot
12 withdrawals, is the one who pointed that out that
13 there were some patients where it would be listed
14 that, you know, the patient was premedicated, and
15 usually, those reactions were less -- were not
16 serious or less severe. And that is, you know,
17 something -- it is the practice of medicine. It
18 just -- institutional practice varies with regards
19 to premedication.

20 DR. SCOTT: Okay. I'm sorry that we'll
21 have to move on. And we'll have a look at those
22 other questions and try to answer them if there's

1 time, or after the workshop, to the individual.

2 So, we need to move on. And I'd like to
3 introduce Dr. Fred Finkelman, a physician and
4 professor of internal medicine emeritus at the
5 University of Cincinnati, as well as an adjunct
6 professor of pediatrics at Cincinnati Children's
7 Hospital Medical Center. But the reason he is
8 here today is because of his vast experience of
9 immunology, particularly where it comes to the
10 types of processes that underlie hypersensitivity
11 and other types of events. So, Fred, take it
12 away.

13 DR. FINKELMAN: Thank you, Dot, and
14 thanks for organizing this workshop. And I want
15 to mention that recently, I become a consultant
16 for CSL Behring with regard to IVIG problems.
17 Next slide, please.

18 The goals of this talk really to go over
19 the pathogenic mechanisms for immediate
20 hypersensitivity reactions, and to then consider
21 how IVIG might initiate these mechanisms. Next
22 slide, please.

1 This is data from one case report. And
2 it really underlines the information that you
3 heard about from Kara. This is one patient who,
4 within less than an hour of the start of an IVIG
5 infusion, developed urticarial rash, difficulty
6 breathing, nausea, vomiting, abdominal cramping,
7 hypotension consistent with anaphylaxis, and
8 flushing. All of these are symptoms associated
9 with immediate hypersensitivity reactions, and are
10 reason for considering immediate hypersensitivity
11 mechanisms as a reason for adverse events that
12 could be associated with a particular lot of IVIG.
13 Next slide, please.

14 So, then it raises the question of what
15 accounts for the rapidity of these reactions. And
16 probably, the most important reason is the very
17 rapid release by activated cells of vasoactive
18 mediators, including histamine, platelet-
19 activating factor, leukotrienes, serotonin,
20 prostaglandins, but especially, according to
21 research that several people have done in this
22 area, histamine and platelet-activating factor.

1 This is not all that's released. There
2 are a number of cytokines and chemokines, a number
3 of proteolytic enzymes. But the major effect of
4 all of these, especially the vasoactive mediators,
5 is increased vascular permeability with fluid leak
6 that can cause vascular collapse. And this can be
7 furthered by decreased cardiac contractility and
8 by vasodilation. And in patients, as you heard
9 from Kara today, increased airway smooth muscle
10 contraction, which can be underlined by epithelial
11 -- increased epithelial secretion, producing an
12 asthma-like reaction. And the rashes that you
13 see, such as urticaria, are accounted for by this
14 increased vascular permeability with fluid leak.
15 Next slide, please.

16 Well, that raises the question, what
17 cells produce these mediators? And at least in
18 experimental systems, the two most important cells
19 are mast cells and macrophages. Mast cells
20 produce histamine, PAF, and everything else that
21 I've mentioned. Basophils are cells that are
22 circulating like mast cells, but have many

1 similarities to mast cells, and can also release
2 histamine. Macrophages produce many of the same
3 mediators, but very little histamine. And there's
4 arguments about whether neutrophils and platelets
5 are important parts of these reactions. Next
6 slide, please.

7 Well, what activates these cells? And
8 probably the most important activation comes from
9 crosslinking of Fc receptors, either by antibodies
10 that then bind to antigen to cause crosslinking,
11 or by antibodies to the Fc receptors themselves.
12 And the most important Fc receptors are FcRI,
13 which is the high-affinity receptor for IgE, and
14 several FcRs that you'll hear more about later.
15 In mice, the most important one seems to be
16 FcRIII -- in humans, FcRIIA. The IgE receptor
17 binds IgE with extremely high affinity. The FcRs
18 bind IgE -- IgG with relatively low affinity. And
19 they're competed with by circulating monomeric
20 IgG.

21 But there are also anaphylaxis reactions
22 that can be caused by complement receptors --

1 receptors for the anaphylatoxins C3a and C5a. And
2 cytokines, particularly IL-3, can cause an
3 anaphylactic-like reaction with activation of some
4 of these cells. More recently, a G-coupled
5 receptor called MRGPRX2 has been shown to be
6 activated by a number of drugs, particularly,
7 agents that are used during general anesthesia for
8 neuromuscular blockade, and some types of
9 antibiotics -- and can also cause mast cell
10 degranulation and these types of reactions. LPS
11 has been described to cause mast cell
12 degranulation. And detergents by perturbing cell
13 membranes can affect mast cells, basophils, and
14 other cell types, including macrophages. Next
15 slide, please.

16 It's important to realize that a lot of
17 what we know about anaphylaxis comes from models
18 that are easily studied. You can't do these
19 studies easily in people. So, by default, studies
20 have been done in rodent models in which rapid
21 development of hypomobility and hypothermia are
22 easily observed features. The next slide, if you

1 can activate the left-hand panel, these are BALB/c
2 mice that are happily wandering around their cage.
3 You can see that they meander fairly quickly.

4 If you look at the next slide, please,
5 and activate that, now, you're looking at mice 10
6 minutes after they've received an agent that's
7 causing an anaphylactic reaction. And you can see
8 that they're still meandering, but much more
9 slowly. By 15 minutes, as shown in the next
10 slide, they're hardly moving at all. These mice
11 are very lethargic. They're not dead. You can
12 see that some of them are still moving, but
13 there's a huge difference from what you saw in the
14 first slide. And this is one way that we assess
15 anaphylaxis in mice.

16 The next slide shows the other main way
17 that we assess anaphylaxis. Mice are small
18 critters. They radiate their heat very quickly.
19 And when they develop anaphylactic shock, they're
20 perfusing critical organs of their body much less
21 than normally. And they're making much less heat
22 than normally. And as a result, very rapidly,

1 their temperature goes down. So, here, in the
2 blue diamonds and red circles, you're seeing mice
3 that are undergoing anaphylaxis mediated by IgG
4 anti-TNP and injection of TNP bound to a protein,
5 ovalbumin, or by IgE anti-TNP in the red circles.

6 You can see that the anaphylactic
7 reactions are very similar. You're getting about
8 a 5-degree drop in temperature. The nadir is
9 about 5 -- about 30 minutes. You can see some
10 effect by 5 to 10 minutes. And if the mice don't
11 die, they very rapidly recover. And you can see
12 in the right-hand panel that this temperature drop
13 very closely matches this increase in lethargy
14 with decreased mobility. And again, it's very
15 similar for IgG and IgE-mediated anaphylaxis.
16 Next slide, please.

17 So, those reactions were caused by an
18 IgE antibody or an IgG antibody binding antigens,
19 stimulating the receptor. But the receptors can
20 also be stimulated by IgG antibodies that are
21 specific for the receptors themselves. And here,
22 you can see the temperature drop that occurs in

1 mice that are injected with an IgG antibody to the
2 IgE receptor, or an IgG antibody to one of the IgG
3 receptors. Again, very similar kinetics to what
4 you saw before. And as we'll discuss in a few
5 minutes, this is, I think, very pertinent to the
6 types of problems we see with IVIG. Next slide,
7 please.

8 And you can see that a single injection
9 of the two most important mediators for these
10 reactions, histamine and platelet-activating
11 factor, again, will cause a very similar decrease
12 in temperature drop, which is self-limited, if the
13 animals survive. Again, you can see that the
14 similar kinetics of the temperature drop caused by
15 histamine or by an antigen- antibody reaction
16 indicates that the release of histamine or
17 platelet-activating factor must occur very
18 quickly. And we see this within two minutes of
19 stimulation with IgE antibody and an antigen to
20 that antibody. Next slide, please.

21 While the kinetics of these responses
22 and the severity of these responses is very

1 similar between IgE receptor and IgG receptor
2 signaling, the -- there are some considerable
3 differences between these two IgE or IgG-mediated
4 mechanisms. Next slide, please.

5 But first, just to show some of the
6 similarities in signaling, both of the IgG
7 receptors and the IgE receptor involve a signaling
8 molecule called Fc receptor gamma chain. This is
9 activated when the receptors are crosslinked with
10 activation of a Src family kinase called Lyn that
11 activates Syk, and that then activates a number of
12 other tyrosine kinases and other signaling
13 molecules, with one important one being BTK or
14 Bruton's tyrosine kinase.

15 This is shown for an IgG receptor. And
16 then the next cartoon on the next slide -- next
17 slide, please -- shows a very similar mechanism
18 for an IgE receptor, although a second signaling
19 chain called FcR beta chain is involved. But
20 again, Lyn, the Src family of kinase, starts the
21 ball rolling with activation of tyrosine kinase
22 Syk, activation of Bruton's tyrosine kinase, and

1 down the line, many other signaling molecules.

2 Next slide, please.

3 But while there are similarities,
4 there's one very important difference, and that's
5 the quantity of antigen that's required to
6 stimulate the IgE or the IgG-mediated pathway.
7 So, here, you see the induction of a decrease in
8 body temperature in mice by injection of either an
9 IgE antibody to TNP or an IgG antibody to TNP,
10 followed by different conjugates of the hapten TNP
11 with a protein, ovalbumin.

12 And if you just look at these black
13 squares on these two panels, you can see with the
14 IgE-mediated mechanism, you'd get about
15 half-maximal drop in temperature, with about 20
16 nanograms of antigen. Whereas for the IgG
17 mechanism, it takes about two micrograms of
18 antigen or about a hundredfold more antigen for
19 the IgG-mediated mechanism than the IgE-mediated
20 mechanism. Next slide, please.

21 And this occurs because of the different
22 affinity of IgE or IgG for their receptors and

1 because of the presence of large amounts of
2 competing soluble monomeric IgG in circulation,
3 whereas there's very little competing circulating
4 IgE. So, for IgE-mediated anaphylaxis, there's
5 pre-association of IgE with the Fc γ receptor. The
6 relevant antigen comes along, crosslinks these IgE
7 molecules, and activates the mast cell or other
8 cell type.

9 For IgG, there isn't pre-association
10 with the receptor. Instead, you have to have the
11 formation of aggregates in circulation between IgG
12 and the antigen. And these aggregates, because
13 they have a higher affinity for the IgG receptors
14 than the competing soluble monomeric IgG, can
15 trigger these cells. Next slide, please.

16 As a consequence of this, anaphylaxis
17 caused by low levels of antigen can only be
18 mediated by IgE. And examples would be insect
19 sting allergy or food allergy, because there's
20 poor absorption of ingested food into systemic
21 circulation. When the antigen-specific IgG
22 antibody levels are high and antigen levels are

1 low, the predominant effect of the IgG is blocking
2 IgE-mediated anaphylaxis via antigen sequestration
3 and signaling through an inhibitory receptor.

4 Whereas when antigen-specific IgG levels
5 are -- antibody levels are high and antigen levels
6 are high, then IgG can mediate anaphylaxis. And
7 when we're dealing with a large amount of an
8 antigen injected, such as some of the therapeutic
9 monoclonal antibodies and possibly IVIG, you may
10 have the second situation that could allow both
11 IgG and IgE-mediated anaphylaxis. Next slide,
12 please.

13 There are also a number of mechanisms
14 that negatively regulate mast cell degranulation.
15 The most important of these is the inhibitory
16 receptor that I mentioned earlier, FcRIIB. It's
17 also a low-affinity receptor, but there are other
18 receptors on mast cells such as Siglec-8.

19 There are cytokine receptors for IL-10
20 and IL-37, and there's desensitization to
21 stimulatory receptors by low and slow receptor
22 ligation and crosslinking that can make these

1 receptors less responsive. You'll also hear from
2 a later talk about certain antibody glycosylation
3 patterns that can negatively regulate this
4 process. Next slide, please.

5 Next slide, please. So, let's bring
6 this into IVIG. When might IVIG activate these
7 mechanisms, and what might be increased in
8 pathogenic lots? Well, one mechanism could be
9 that certain lots of IVIG could contain IgE or IgG
10 antibodies to antigens present in recipients. So,
11 if a recipient was exposed to large amounts of cat
12 dander, for example, and IVIG contained IgE
13 antibodies to cat dander, that could be a possible
14 way of triggering an immediate hypersensitivity
15 reaction.

16 Perhaps more likely, though, is that
17 IVIG might contain antibodies to some of these
18 receptors themselves or to IgG or IgE themselves.
19 And these antibodies could then directly activate
20 the receptors and cause mast cell or macrophage
21 activation. Receptors to the complement-derived
22 anaphylatoxins to MRGPRX2 could also be

1 crosslinked by specific antibodies that might be
2 in the IVIG. And that could then activate the
3 cells that produce the mediators and cause an
4 anaphylactic response.

5 IVIG might also contain antibodies to
6 things to which might contain antigens such as
7 IgA, which, in IgA- deficient patients who may
8 have anti-IgA antibodies, could trigger an
9 anaphylactic reaction. And IG -- IVIG might
10 contain detergents or might contain preformed
11 IgG-immune complexes that could directly activate
12 cells such as mast cells, or activate them by
13 activating the complement system. Next slide,
14 please.

15 But there are reasons to be suspicious
16 of any of these possibilities. Most importantly,
17 a lot of IVIG is made from a great many patients,
18 which means that a single donor's plasma is going
19 to be diluted anywhere from 1 to 1,000 to 1 to
20 10,000, which means that there would have to be an
21 extremely high titer of whatever it is in that
22 patient's serum that's causing the reaction.

1 That, to me, makes it more likely that you're
2 going to have an IgG antibody to one of the
3 receptors that could stimulate and activate mast
4 cells or macrophages than to have IgG or IgE
5 itself that is specific for an allergen.

6 So, an anti-receptor antibody rather
7 than an antibody to an allergen seems to me to be
8 more likely. But there are questions. If an
9 autoantibody is involved, such as an antibody to a
10 receptor, then why isn't the donor sick? Well,
11 maybe the donor could become tolerant of that
12 antibody. Or maybe the antibody that's produced
13 would be to an alloantigen that is --doesn't
14 recognize an antigen in the donor, but recognizes
15 an antigen in the recipient.

16 If that's the case, then you would think
17 that most of the donors would have to be female,
18 because women are much more likely to be induced
19 to have alloantigen -- alloantibodies by
20 pregnancy. It's unlikely to be an -- unlikely to
21 be IgE, because there's very little IgE in IVIG
22 preparations. If high IgA content is involved,

1 then the only donors who should develop disease
2 should be IgA-deficient donors. That doesn't seem
3 to be the case.

4 As far as problems with detergent or
5 aggregates, the FDA provides limits for excipients
6 such as detergents, which should be able to
7 prevent those problems. And finally, only a small
8 percentage of recipients who receive pathogenic
9 IVIG develop disease reactions. So, why is only a
10 small percentage of recipients developing the
11 reactions if there's something in common in a
12 particular batch of IVIG that makes recipients
13 develop the reactions? Next slide, please.

14 Next slide, please. Well, recipients
15 can differ in their susceptibility to develop an
16 anaphylactic-like reaction. Certain cytokines
17 that could be present in larger amounts in some
18 recipients could activate mast cells and basophils
19 and increase their number. Other cytokines can
20 increase the responsiveness of target cells such
21 as vascular endothelium to vasoactive mediators
22 such as histamine. Some recipients more slowly

1 degrade vasoactive mediators so they can
2 accumulate more. Some recipients such as people
3 with mastocytosis have very large numbers of mast
4 cells.

5 Others have mast cells or macrophages in
6 a preactivated state so they'd be more easily
7 activated by something that they get through IVIG.
8 There are some drugs such as β -adrenergic
9 antagonists, often used for people with
10 cardiovascular diseases. An example is
11 propranolol that may make people more susceptible.
12 Alcohol use and exercise can also make people more
13 susceptible to anaphylactic reactions. Next
14 slide, please.

15 I want to point out that a lot of the
16 information I gave you comes not from human
17 studies, but from mouse studies. And mice and
18 people are very different. So, for example, using
19 hypothermia as an indication of anaphylaxis works
20 very well for mice, but not for people, who are
21 much bigger and lose their heat much more slowly.
22 It's difficult to see urticaria in mice, even

1 though this is a major way of seeing anaphylaxis
2 in people.

3 There are differences in the cellular Fc
4 receptors, in the IgG isotypes, and the mediators
5 produced by activated cells and their effects on
6 target cells between mice and people. Basophils
7 have very few granules and very little histamine
8 in mice, whereas they can have much more in
9 people. So, they're likely to contribute more to
10 anaphylaxis in people than in mice.

11 But despite these differences, studies
12 in mice have held up remarkably well as models for
13 human anaphylaxis. And lessons that we learn from
14 normal mice or mice that have been partially
15 humanized have really helped us understand
16 immediate hypersensitivity reactions in people.
17 And it would be very helpful to have a mouse model
18 of IVIG-induced immediate hypersensitivity
19 reactions that might be used to examine IVIG lots
20 prior to their approval for clinical use. Next
21 slide, please.

22 So, my bottom line is it seems likely

1 that IgG- associated mechanisms are involved in
2 immediate hypersensitivity reactions to IVIG,
3 especially mechanisms in which the IgG is acting
4 as an antibody specific for receptors on cells
5 that release mediators, rather than mechanisms in
6 which the IgG is binding to a particular allergen.
7 Understanding why some lots of IVIG are
8 particularly likely to cause immediate
9 hypersensitivity-like reactions and the factors
10 that make some individuals particularly prone to
11 develop these reactions remain elusive.

12 Better understanding of IVIG-mediated
13 anaphylaxis, as well as better and more practical
14 ways to identify recipient characteristics that
15 increase the likelihood to have these reactions
16 should provide ways to avoid these reactions.

17 I realize that I've spoken very quickly,
18 and a little cold has perhaps made it difficult to
19 understand me. Anybody who wants a copy of this
20 presentation, please email me, and I'd be happy to
21 send it. And thank you very much. It's my
22 understanding that we'll go on to the next speaker

1 and take questions at the end of the three talks.

2 DR. SCOTT: Thank you very much, Dr.
3 Finkelman. That was an excellent presentation and
4 introduction to the complexities of these -- of
5 hypersensitivity reactions. And it gives us some
6 future directions, potentially, that could be
7 explored. Our next speaker is Dr. Robert Anthony.
8 He's an associate professor at Harvard Medical
9 School. And he's going to speak about the role of
10 antibodies and Fc receptors in immediate
11 hypersensitivity.

12 DR. ANTHONY: Great. Thank you, Dot.
13 Thanks to Fred for that introduction to our
14 section. And it's really nice to be here. So,
15 thanks for this opportunity. Next slide, please.

16 So, this is a schematic of chromosome 14
17 in humans that shows the heavy chains that
18 essentially are used to express the antibodies.
19 And underneath the heavy-chain locus, you can see
20 schematics of the antibodies that are essentially
21 produced as a result of the expression. Next
22 slide.

1 And since we're talking about type I
2 hypersensitivity reactions as a framework, much
3 like Fred did in his talk, I think it's really
4 useful to put this in the context of the way it's
5 taught in the textbooks, which is IgE- mediated
6 reactions. And so, in this context, there's a TH2
7 response that results in the production of IgE
8 antibodies. They're secreted by B cells. And
9 then they bind very stably with high affinity to
10 FcRI, which is expressed on the surface of mast
11 cells in the tissue and basophils in the
12 circulation.

13 And then upon a subsequent re-exposure
14 to the allergen, you get crosslinking of the
15 cell-bound IgE on the surface of the mast cells or
16 the basophils. And that leads to a signaling
17 cascade that results in degranulation of these
18 cells, releasing these soluble mediators that are
19 responsible for the symptoms. And really, that's
20 -- you know, as a mouse immunologist, we look at
21 that in terms of vascular leakage, impermeability,
22 and temperature loss, as Fred mentioned in his

1 talk. Next slide, please.

2 And so, for IgE, it sits on the mast
3 cell in the form of a trimeric receptor, which is
4 shown in the schematic. The alpha chain binds
5 directly to the high -- to the IgE molecule with
6 very, very high-affinity. So, the association
7 constant is in the range of 10^9 or 10^{10} . And so,
8 this is a very stable interaction. The off-rate
9 is three days. So, it has a -- IgE has a tissue
10 half-life of three weeks on the surface of mast
11 cells.

12 So, also associated with this receptor
13 is the common gamma chain, which is critical over
14 the signaling of many Fc receptors. And then it's
15 also the beta chain that helps out with the
16 signaling downstream. And so, next slide, please.

17 So, upon crosslinking of two IgE bound
18 to the alpha chain of FcRI, that will essentially
19 trigger the signaling cascades downstream inside
20 the mast cells and the basophils. Next slide.

21 And so, this is similar to what Dr.
22 Finkelman showed, but essentially, upon

1 crosslinking of the alpha chains by IgE that's
2 bound, both the kinases Lyn and Syk will
3 phosphorylate LAT. And that will essentially
4 trigger a number of downstream cascades, including
5 a MAP kinase pathway that's thought to be
6 responsible for release of the lipid mediators
7 associated with type I hypersensitivity -- the
8 prostaglandins and leukotrienes. There's also
9 essentially the phospholipase C pathway that
10 ultimately leads to the calcium flux that's
11 associated with the degranulation in mast cells
12 that's associated with the release of histamine.
13 Next slide, please.

14 And so, some of the classic models that
15 we immunologists use include this passive
16 cutaneous anaphylaxis model. And so, in this
17 model, we would take a mouse IgE molecule and
18 inject it intradermally into the ear of a mouse.
19 Next slide. And it's essentially sensitizing
20 locally in the ear. They could also be done in
21 the skin, but the fur needs to be removed to do
22 that. And the next day, the mice are essentially

1 challenged systemically or intravenously with the
2 allergen that's been emulsified in Evans blue dye.
3 And it's an inert dye.

4 But essentially, when the productive
5 anaphylactic response occurs, it will trigger
6 vascular leakage and permeability. And because of
7 that, the blue coloration will actually migrate
8 into the site where the anaphylactic response
9 occurs. Next slide. So, you can actually get a
10 blue coloration of the ear. Next slide, please.
11 And so, that's visible, and you can quantify that
12 as a surrogate readout for the magnitude of
13 anaphylaxis. Next slide.

14 And so, this is just the Hooke Lab cells
15 -- these kits that help elicit these types of
16 responses. And this is a picture from their
17 website, where you can see the right ear was
18 treated with the DMP-specific IgE, where there was
19 a mock injection in the left ear. And then upon
20 challenge with the DMP allergen, in the context
21 with Evans blue dye, within minutes -- you know,
22 so, essentially, 30 to 40 minutes, you get this

1 very robust coloring of the ear that can be
2 quantified. Next slide.

3 So, there's another classic model of
4 anaphylaxis, which is a systemic model. So, in
5 this model, mice are sensitized intravenously or
6 systemically with the IgE molecule. And then
7 they're challenged systemically with the allergen.
8 And then measured, essentially, temperature is
9 dropped, just as Dr. Finkelman presented. You can
10 get this robust drop in temperature approximately
11 20 minutes after the allergen challenge. Next
12 slide. Right. One more, please.

13 Right. So, this is a classic experiment
14 from Kinet's Group (phonetic) where they compared
15 sensitization of mice with human IgE that either
16 lacked the mouse IgE receptor, which is the first
17 bar, followed by mice that expressed one copy of
18 human IgE high-affinity receptor that had both
19 copies of the mouse IgE receptor, or that had --
20 were deficient in that receptor. And you can see
21 that when there was either a mouse or human IgE
22 receptor, there was a fairly robust drop in

1 temperature, about 4 degrees, approximately 20
2 minutes after challenge with the allergen. Next
3 slide, please.

4 Right. So, with that framework in mind,
5 I thought we would move on to the IgG antibodies.
6 And there are four different IgG antibodies in
7 humans. They are numbered based on their
8 discovery, but also, it correlates with their
9 amount that they're present in the circulation.
10 So, IgG1, IgG2, IgG3, and IgG4. Next slide,
11 please.

12 So, this is an IgG molecule. I think
13 everyone here is likely familiar with this
14 structure, but it has a Y-shaped structure -- the
15 top of which is responsible for the specificity of
16 the molecule. Next slide.

17 So, that's what's referred to as the
18 antigen-binding fragment or the Fab. Essentially,
19 the molecule is two identical heavy chains and two
20 light chains. The Fab essentially is the light
21 chain paired with the heavy chain, and it's the
22 variable regions of the molecule in this portion.

1 Next slide.

2 The stalk of the molecule is the
3 crystallizable fragment or the Fc or the constant
4 region and this is the business end of the
5 molecule responsible for conveying the effector
6 functions. Next slide. And there is a single N-
7 linked glycosylation site, asparagine 297, that's
8 conserved across all mammalian IgG antibodies.
9 And it's in a fixed position in the molecule, and
10 you can actually resolve it by crystallography,
11 which is shown here in this molecule. All right.
12 Next slide.

13 So, the canonical functions of IgG
14 antibodies are triggering inflammation. This is
15 typically thought to be in the form of
16 antibody-dependent cytotoxicity. They can also
17 opsonize various antigens by, you know, enabling
18 the phagocytosis, or they can be responsible for
19 inflammation that's mediated through all
20 complement fixation. And this can occur,
21 certainly, at the end stage of some autoimmune
22 diseases, including, you know, lupus nephritis.

1 Next slide, please.

2 All right. So, this is a picture of
3 Jeff Ravetch at the corner, who's my postdoctoral
4 mentor. And Jeff spent his career defining how
5 IgG antibodies trigger inflammation. And so, this
6 is historical data generated by Raphael Clynes in
7 Jeff's lab almost 20 years ago. And so, Raphael
8 was using a model of thrombocytopenia driven by a
9 monoclonal antibody specific to the platelet
10 β -integrin.

11 And so, he gave this monoclonal antibody
12 to wild-type mice, which is shown in red. And
13 within about two hours, there's a rapid loss of
14 platelets from the circulation of mice, as you can
15 see. Mice in green received an isotype control
16 treatment. And so, they had no loss of platelets.
17 And mice that lacked the C3 protein, that's the
18 complement protein that's common for all three
19 complement cascades, they're shown in blue -- they
20 also underwent a rapid loss of platelets that
21 mirrored what was seen in a wild-type animal.
22 Next slide.

1 So, mice that lacked that signaling
2 component of Fc receptors, the common gamma chain,
3 were administered the platelet-specific antibody.
4 And no platelet loss was observed, and that's
5 shown in black here. And so, this result showed
6 that this Fc receptor -- or the signaling
7 component of this Fc receptors was required for
8 this platelet depletion model, and not complement.
9 And so, Jeff has gone on to show how important Fc
10 receptors are for antibody biology. Next slide,
11 please.

12 And so, this is just a schematic of the
13 Fc receptor family for all of the antibody
14 classes. So, starting on the far left, there's
15 the high-affinity IgE receptor, FcRI. Next to it
16 is the IgA receptor, and then there's the
17 high-affinity IgG receptor, FcRI. Then we have
18 the lower-affinity IgG receptors, FcRIIB, C, and

19 A Then there's FcRIIIA. IIIB is a
20 G-protein-linked receptor. Then there's these
21 C-type lectin receptors for IgE and IgG that have
22 been described as type II Fc receptors. Next

1 slide, please.

2 So, focusing on the IgG receptors,
3 there's -- on the top of this diagram, there's the
4 mouse receptors. And on the bottom, there's the
5 human receptors. But looking at -- so, there's a
6 lot of similarities, but some important
7 distinctions in these two different systems.

8 So, starting with the orange receptor,
9 which is the high-affinity receptor, FcRI, this
10 is largely thought to be irrelevant in terms of
11 triggering inflammation. And the reason for that
12 is because it binds IgG with a very high affinity.
13 And because of the high serum concentrations of
14 IgG, which is 1 mg per mL on a mouse and 10 mg per
15 mL on a human, it's thought to be saturated by
16 essentially random IgG molecules. So, the
17 likelihood of it being crosslinked by an antigen
18 is incredibly low.

19 So, generally those of us in the
20 antibody field don't consider IgG1 as triggering
21 antibody effector function. And I guess the
22 exception would be what Dr. Finkelman mentioned in

1 terms of an antibody that might directly target
2 FcRI. So, the remaining canonical or type I Fc
3 receptors are considered to be low or moderate
4 affinity. So, if we start with the first yellow
5 receptor shown here that's the inhibitory Fc
6 receptor, which is FcRIIB -- and so, it's a
7 single alpha chain molecule that has an ITIM or a
8 immuno tyrosine inhibitory motif in its -- in the
9 chain. So, this induces an inhibitory signal when
10 it's crosslinked on the cells that express it.

11 In the mouse system, that's the only,
12 essentially, receptor of the II family. Then
13 there's the FcRIII molecule, which is an
14 activating Fc receptor, and uses the gamma chain
15 to signal. There is also another activating Fc
16 receptor, FcRIV, which also uses the common gamma
17 chain to signal. Also shown in this diagram are
18 the neonatal Fc receptor, FcRN, that's responsible
19 for the prolonged serum half-life, and then this
20 C-type lectin receptor, SignR1, that is
21 responsible for conveying the anti-inflammatory
22 signals of IgG.

1 So, in the human system, again, there's
2 many similarities. So, starting with the
3 low-affinity receptor, there's the inhibitory
4 receptor, FcRIIB -- again, using a ITIM motif
5 signal. There are the activating low-affinity
6 receptors FcRIIA and IIC. So, IIC's risk
7 expression is really limited to natural killer
8 cells. So, we really focus on the biology of
9 FcRIIA, again, which -- it's a single chain,
10 alpha chain signaling molecule with an ITIM motif
11 in the intracellular domain.

12 There is FcRIIIA, which also is
13 expressed on natural killer cells, and which also
14 uses the gamma chain for signaling. And then
15 there's the GPI-linked receptor, FcRIIIB, which
16 is thought to be expressed on neutrophils. And
17 then, again, there's the FcRN responsible for the
18 serum half, life and then the human ortholog for
19 SignR1, which is DC-SIGN which, again, has been
20 implicated in the anti-inflammatory activity of
21 antibodies. Next slide, please.

22 All right. So, looking at the signaling

1 that's caused by immune complexes for these
2 low-affinity activating Fc receptors, which is
3 shown here, and as Dr. Finkelman alluded to,
4 because these are lower-affinity interactions,
5 they require the formation of complexes, which is
6 depicted here in this schematic. And that
7 increases the avidity of the interaction with
8 these low-affinity receptors. And the
9 crosslinking will essentially do some very similar
10 signaling moiety to what we observed in the
11 mast-cell pathway where there's essentially a Syk
12 activation that is required to trigger the
13 downstream events, which, again, include map
14 kinase signaling as well as calcium flux, as shown
15 here in this diagram. Next slide, please.

16 And so, this activating signal is
17 opposed by this inhibitory signal mediated by the
18 inhibitory receptor, FcRIIB. So, that receptor
19 is expressed in the surface of B cells. And when
20 it's co-ligated in the -- at the same time as the
21 B-cell receptor, that's an inhibitory receptor
22 that shows or tells the B cell to not respond to

1 the particular antigen that's being complexed and
2 that's shown in panel B.

3 But in panel C, which is more relevant
4 for this session, just looking at immune complexes
5 engaging the inhibitory receptor on the surface of
6 cells like macrophages, dendritic cells, and
7 neutrophils, where, essentially, you would get an
8 induced apoptosis by BTK in an adjunct-induced
9 pathway following ligation of this receptor. Next
10 slide, please.

11 So, the four human IgG subclasses are
12 shown here, with, essentially, you know, a cartoon
13 schematic of what they would look like. And they
14 have very similar structures with the sole
15 exception of IgG3, which has this very extended
16 hinge region, which is shown here in the
17 schematic. And the way that I generally think
18 about them is with this blue chart with the plus
19 or minuses, where IgG1 antibodies are very potent
20 in fixing complement, but they also bind, you
21 know, the most -- I guess, the best of all of
22 these antibodies, that both activating and

1 inhibitory Fc receptors.

2 IgG2 are, you know, poor binders of
3 complement and activating and inhibitory
4 receptors, so it's thought to be essentially
5 fairly inert. IgG3 binds strongly to complement
6 and activating inhibitory receptors, so it is
7 thought to be fairly inflammatory, similar to
8 IgG1. Then IgG4 is a poor binder of complement
9 and activating receptors, but binds with moderate
10 affinity to the inhibitory receptors. So, it's
11 thought to be either inert or inhibitory in terms
12 of the subclasses. Next slide, please.

13 And so, the mouse system of antibodies,
14 there are also four different antibodies. But the
15 numbering system, I think, is remarkably
16 confusing, because the effector function doesn't
17 track with the numbering in humans. So, for mouse
18 IgG1, it's essentially -- it's a poor binder of
19 complement and binds with lower affinity to
20 activating Fc receptors, but binds stronger to the
21 inhibitory Fc receptors. So, mouse IgG2a or c,
22 which essentially are the black (inaudible) valve

1 C parietals of this antibody subclass, binds very
2 strongly to an activating Fc receptor and is a
3 very potent antibody in vivo. Slightly less
4 potent is IgG2b, but it's still a very
5 strongly-activating antibody. And IgG3 in the
6 mouse system does not bind to any Fc receptors.
7 Next slide, please.

8 Great. So, this -- the importance of Fc
9 receptor binding, and specifically, the affinity,
10 was established by Falk Nimmerjahn, who's pictured
11 here. And so, this is really a classic study that
12 Falk did using the B16 melanoma model. And so, he
13 administered systemically B16 melanoma cells to a
14 mouse, and they'll -- which usually metastasizes
15 throughout the body, and they'll congregate
16 everywhere, including the lung. And then Falk
17 gave a TA99 class switch variant to these animals.
18 And so, TA99 is a monoclonal antibody that
19 recognizes a specific motif on the B16 melanoma
20 tumor.

21 So, what you can see very clearly is
22 that these antibodies -- which have the same

1 specificities, but they just differ in the Fc
2 region only -- had different ability to clear the
3 B16 melanoma. So, looking at a mouse IgG1
4 antibody, you can see it's very much a black lung
5 in panel A. And then when that's quantified in B,
6 you can see that essentially, this dose of the
7 mouse IgG1 had no ability to clear the B16 tumors
8 on the lung.

9 In contrast, the TA99 with the IgG2a Fc
10 was very potent at clearing these metastasized
11 tumors. And that's true by the -- essentially,
12 the white lung you can see in panel A, and also
13 quantifying it, that it's a complete reduction of
14 tumors. Also potent, but less so, is the mouse
15 IgG2b. You can see it's almost a gray type of
16 lung, and then -- and there is a significant but
17 less reduction of the B16 tumors. And then IgG3
18 TA99 antibodies did not do any clearance at all.
19 Next slide, please.

20 And this is from that same paper where
21 it's using the platelet depletion model. So, this
22 is the 6A6 model that Raphael Clynes established.

1 But again, it's the same pattern where these same
2 specificity of the antibodies, they differ only in
3 the Fc region. And it shows that in terms of
4 platelet depletion, IgG2a and 2b are very potent
5 at triggering platelet depletion, and that's shown
6 in red and yellow, whereas IgG1 is about a 50
7 percent reduction in platelets, and there's no
8 depletion in platelets shown in the IgG3 in green.
9 So, next slide, please.

10 And so, Falk, in this paper, established
11 this concept that you can predict the antibody
12 function by essentially establishing the ratio of
13 the affinity of that particular class of an
14 antibody to the activating versus inhibitory Fc
15 receptor. And so, what you can see is for mouse
16 IgG1 antibody, it has a low activating -- low AI
17 ratio. And so, it's essentially not so potent in
18 vivo. The IgG2a has a very high ratio, so it's,
19 you know, close to 70. And that's the most potent
20 subclass. And then IgG2b has a ratio of about
21 sevenfold higher affinity for activating receptors
22 and inhibitory, and it's fairly potent as well.

1 Next slide.

2 And so, this is just a list of the
3 affinity constants for both the human and the
4 mouse antibodies and Fc receptors, really, that
5 this concept of the AI ratio was established.
6 Next slide, please. And so, what you can see is
7 that we focus first on human antibodies. The
8 highest affinity of human IgG1 of the low-affinity
9 receptors is this FcRIIA. And then human IgG2
10 binds with about a tenfold lower affinity to that
11 receptor -- that same receptor, preferentially.
12 Human IgG3 binds with very high affinity up in the
13 10⁷ range to the, you know, the receptor FcRIIIA.
14 And then human IgG4 has the strongest binding to
15 FcRIIB, the inhibitory receptor -- slightly
16 higher than the other receptors, which is why it's
17 thought to be an inhibitory-type antibody.

18 And then in the mouse system is
19 essentially what Falk had laid out, where mouse
20 IgG1 binds preferentially to the inhibitory
21 receptor based on its K_a. Mouse IgG2a binds very
22 strongly to FcRIV. And a little bit about --

1 essentially at tenfold less or so is where the
2 IgG2b binds to that same receptor. And there's no
3 detectable binding of the IgG3 subclass. Next
4 slide, please.

5 All right. And so, this, again, is the
6 picture of the IgG antibody with its structure.
7 Next slide. Right. And so, one of the other
8 things that might influence the interactions with
9 these receptors is the single glycan. And this is
10 just a schematic of the glycan that's at
11 asparagine 297. It's tremendously heterogeneous.
12 And so, it can vary by the presence of this
13 fucose, the red triangle, the bisecting
14 N-Acetylglucosamine or the galactose or sialic
15 acid -- all of those moieties found outside of the
16 dotted box. Next slide.

17 And the presence of these -- or absence
18 of these various sugar moieties influences
19 directly the interactions with Fc receptors. So,
20 antibodies that lack fucose actually gain a
21 50-fold increase in affinity to the FcRIII3A.
22 Next slide. And then antibodies that have sialic

1 acid have impaired interactions with Fc receptors.
2 So, an additional wrinkle of complexity is the
3 presence of the type of glycan attached on the
4 antibodies. Next slide, please.

5 So, this is just a MALDI-TOF analysis of
6 IVIG, actually. But it just shows the
7 heterogeneity of glycosylation. So, there's over
8 30 distinct glycoforms that are present in healthy
9 individuals on the Fc region of the antibodies.
10 Next slide. So, 10 percent of these terminate in
11 sialic acid, and these are represented under the
12 red brackets. Next slide. But the other major
13 forms essentially are these fucosylated,
14 digalactosylated, monogalactosylated, or
15 agalactosylated glycoforms. Next slide, please.

16 And so, this is just turning back to
17 some of Falk's work looking in the B16 melanoma
18 model in an antibody with and without fucose. And
19 I alluded to earlier how that -- removing fucose
20 from the antibody glycan results in enhanced
21 affinity to an activating Fc receptor. And so,
22 you can see, he did an analogous experiment to

1 what we walked through earlier, where,
2 essentially, mice that received the B16 melanoma
3 tumor intravenously were treated with the TA99
4 antibodies of an IgG2B backbone. And the only
5 difference was one of those antibodies had fucose
6 and one didn't. The same dose of the antibodies
7 was administered. And you can see that the
8 afucosylated antibodies have a -- were much more
9 potent in clearing the tumor. And they have an
10 enhanced activating to inhibitory ratio of 20
11 compared to that of 7 of a fucosylated antibody.
12 Next slide.

13 So, this is the crystal structure of
14 antibodies with and without fucose, as shown here.
15 And essentially, what happens when fucose is
16 present is there is essentially a contortion of a
17 glycan essentially out of the frame, which is
18 shown here on the top panel in yellow. But when
19 that fucose is removed, you have a very strong
20 hydrogen bond, a glycan- glycan interaction
21 between the antibody and the Fc receptor. And so,
22 that's responsible for this 50-fold gain in

1 affinity. Next slide, please.

2 And so, that's actually, you know,
3 popping up in the clinic. And just two examples
4 are that these afucosylated antibodies are
5 associated with severe COVID. And so,
6 essentially, it's thought to exacerbate some of
7 the clinical presentations in COVID. And then
8 also therapeutic antibodies that are -- they're
9 being engineered to lack fucose because they're --
10 have enhanced potency. Next slide.

11 Right. So, another confounding factor
12 for antibodies -- or human antibodies is in this
13 idea of Fab-arm exchange, which occurs in IgG4
14 subclass specifically. So, there are these two
15 cysteine moieties just beneath the hinge region.
16 And they can -- when they're -- when these
17 antibodies are undergoing, essentially, the
18 recycling with the FcRN with a low pH, it's
19 thought that these can undergo, essentially, an
20 intra-arm cysteine pairing that allows for
21 essentially a swapping of the two arms. And so,
22 it's thought that IgG4 actually exists in this

1 mixture of heterodimers and homodimers, which is
2 depicted in the bottom right of this slide. Next
3 slide.

4 Finally, I just wanted to bring up this
5 idea that while there are four different
6 subclasses, there are a number of allotypes of the
7 different antibodies as well. So, these are just
8 some of the allotypes that have been identified in
9 the literature. Next slide, please. And so, the
10 so-called constant region has a total of over 30
11 different glycoforms that are possible to be
12 present. There are 30 different -- either
13 allotypes and/or subclasses, which makes --
14 there's a possibility of 900 different Fc
15 configurations stored. So, it's much more complex
16 than, I guess, we think typically. Next slide,
17 please.

18 So, getting into some of the classic
19 models, looking at IgG-mediated anaphylaxis, I
20 think the first thing to point out was this
21 passive reverse Arthus reaction that was described
22 in Jeff Ravetch's lab. And so, this is

1 essentially mice that are treated with rabbit IgG
2 at a particular site, and then they're
3 administered with an antigen systemically. And
4 you can essentially qualify the -- or quantify the
5 edema and hemorrhage at the site. So, this is
6 very -- this is analogous to the passive cutaneous
7 anaphylaxis reaction that we discussed in the
8 mouse system earlier. Next slide, please.

9 And so, this is actually pieces of skin
10 tissue that were treated with this passive reverse
11 Arthus reaction. So, you can see that wild-type
12 mice received a fairly productive reaction, which
13 was quantified by essentially the vascular leakage
14 or permeability. There was not the blue dye that
15 was administered here. So, essentially, you can
16 just see the spreading of the blood. Looking at
17 mice that lacked Fc receptors in panel A, you can
18 see there's a marked attenuation of this reaction.
19 Mice that lacked mast cells, which are the W/Wv
20 mice in panel C, also had an attenuated reaction.

21 These mast-cell-deficient mice that were
22 reconstituted with Fc-receptor-deficient mast

1 cells in D also had an attenuated reaction.
2 Whereas mice in panel E, that were lacking mast
3 cells, but had been reconstituted with wild- type
4 mast cells that had Fc receptors, had -- were able
5 to recapitulate the productive Arthus reaction as
6 shown in panel A. And so, this experiment showed
7 that it was essentially FcRs on mast cells
8 required for this Arthus reaction in the tissue.
9 Next slide, please.

10 And this is Dr. Finkelman's classic
11 model in which mice are administered a goat
12 anti-mouse IgG antibody. And this results in
13 production of goat antibody specific IgG1, IgG2a,
14 and IgE. The mice can then be challenged with
15 either a goat antibody or an anti-IgE antibody.
16 And you can see that essentially, you get a
17 similar anaphylactic curve. However, the -- when
18 you challenge with the antigen, which is the goat
19 IgG, this is thought to be an IgG-mediated
20 systemic anaphylaxis. And that's because,
21 essentially, you can block IgE and still get the
22 anaphylaxis. But if you block the FcRs, there's

1 no anaphylaxis that occurs. And that's in
2 contrast when the anti-IgE antibody is
3 administered. So, essentially, that does require
4 IgE, and blocking FcRs has no effect on the
5 induction of anaphylaxis. Next slide, please.
6 Yeah, next slide. Thank you.

7 And so, this is a really classic passive
8 model. And I just wanted to add this at the very
9 end, just to further complicate things. But if
10 you look at panel D, this is a TNP- specific
11 monoclonal mouse IgG1 that was administered to a
12 mouse. They also received human IVIG, which is
13 shown in red. And you can see that while the --
14 there is anaphylaxis that's induced in the mice
15 that received the antibodies, the IVIG was able to
16 attenuate the anaphylaxis, which is shown in panel
17 D. So, certainly, that further complicates
18 thinking about how IgG antibodies trigger
19 anaphylaxis responses. Next slide, please.

20 And so, getting back into the signaling,
21 this paper identified a key role for the adaptor
22 proteins Fyn and Lyn. And they showed that,

1 essentially, mice deficient in Fyn had a fairly
2 normal, robust anaphylactic response in A, but the
3 Lyn knockouts did not require -- did not recover
4 nearly as quickly, which is shown in panel B.
5 Next slide, please.

6 Right. And so, this shows that
7 essentially, the mast cells are the primary
8 mediators of the histamine release, which is what
9 Dr. Finkelman alluded to. Next slide, please.
10 And so, just to sum up with two very quick slides,
11 thinking about Fc receptors in the cellular
12 expression -- next slide -- you can see that
13 looking at mast cells and macrophages or monocytes
14 -- yeah. Next slide, please. Next slide. Yeah.
15 So, the -- oh, I'm sorry. Back one. Yeah. Thank
16 you.

17 So, looking at FcRIIIA in the mice,
18 that's expressed by mast cells and monocytes and
19 macrophages, but the Fc receptors that are
20 expressed by those cells in humans are
21 predominantly FcRIIA and IIIA. Next slide. And
22 then -- I know I'm short on time. And so,

1 thinking about FcRIIA and IIB -- next slide --
2 the -- that is bound most strongly by human IgG1,
3 which is just highlighted here in this chart.
4 Next slide.

5 And so, with that, I'll just summarize,
6 thanking everyone for their time and attention.
7 Also, just to -- like, it's appealing to think
8 about the similarities and also differences
9 between IgG and IgE systems. And I'd like to
10 thank all of the authors who generated the data
11 and the reviews that were used here, and apologize
12 to anyone that I didn't cite. That was an
13 oversight, my fault. Thanks very much.

14 DR. SCOTT: Thank you, Dr. Anthony. And
15 hopefully, you have time to stay around for the
16 questions at the end of this session. And it was
17 a very detailed and, to me, exhaustive -- maybe
18 exhausting review of FC receptors, but really
19 excellent. Thank you very much.

20 Next, we have Dr. Laurent Reber, who is
21 a PhD in immunology research at the Institute
22 Pasteur. And he's going to speak about cellular

1 mediators of immediate hypersensitivity reactions.

2 DR. REBER: Thank you. So, I actually

3 moved to Toulouse in the South of France so I'm

4 not anymore at the Institute Pasteur. So, I was

5 asked today to present you more about the cells

6 that could mediate hypersensitivity reactions.

7 And as you will see, there will be lots of overlap

8 with what was already presented by the two first

9 speakers. But I will try to go more into details

10 about three types of specific reactions that could

11 occur. Can you go to the next slide, please.

12 So, I will focus on the IgE-mediated

13 hypersensitivity reactions and what Fred presented

14 before on MRGPRX2-mediated hypersensitivity

15 reactions, and really looking at the main cells

16 that could mediate that and the main mediators.

17 And then I will discuss more about IgG-mediated

18 hypersensitivity reactions, which I think is

19 really what could occur in IVIG-mediated

20 reactions, and that there are two main pathways

21 that could be activated, as was presented before,

22 the FcR pathways, and which cells are involved in

1 that, and also the complement pathway. And I will
2 go more into details about experiments that were
3 performed using humanized mice, and especially
4 humanized for these FcRs. Next slide, please.

5 So, this was already presented. IgE is
6 really well known to mediate hypersensitivity
7 reactions. And I will focus really on anaphylaxis
8 as the main hypersensitivity reaction -- so, a
9 systemic reaction. And this is known for a long
10 time that IgE mediates anaphylaxis, and they
11 mediate it mainly through one receptor, which is
12 FcRI, which is expressed in mice in two main
13 cells -- mast cells and basophils. In humans,
14 it's a little bit more complicated, because there
15 are additional cells such as dendritic cells and
16 some monocytes, macrophages, that could also
17 express a version of this receptor. Next slide,
18 please.

19 But what is also clear in -- yeah, what
20 is clear is that there are many people that
21 actually develop autoantibodies against this
22 receptor -- so, anti-FcRI autoantibodies, mostly

1 of the IgG subclass, and also, IgE against
2 self-proteins -- and that these autoantibodies can
3 actually cause disease in humans, which is called
4 chronic spontaneous urticaria. And what these
5 antibodies can do is that they can directly
6 activate. So, if you have antibodies against
7 FcRI, these antibodies can directly activate the
8 receptor, causing the activation of mast cells,
9 and potentially, basophils.

10 And if you have antibodies against IgE,
11 they can also activate the receptor, because IgE
12 is always bound to the Fc receptor of the surface
13 of mast cells and basophils. And then if you have
14 IgE against self-antigens, if you encounter these
15 self-antigens, these immune complexes can also
16 directly activate the receptor. So, I think one
17 thing we have to take into consideration, if, in
18 the pool of IVIG, there are autoantibodies against
19 either FcRI or against IgE, these autoantibodies
20 have the potential to activate mast cells and
21 basophils. Next slide, please.

22 Yeah. And indeed, there is one report

1 which was published a few years ago using
2 basophils. So, what they did is that they took
3 basophils from the blood of a healthy donor. They
4 primed these basophils using a cytokine, which is
5 called IL-3, to enhance the activation potential
6 of these basophils. And then they incubated these
7 basophils with different IVIG batches. And what
8 they observed is that the basophils got activated.

9 And when they depleted anti-IgE that
10 were present in these IVIG batches, they couldn't
11 see any more basophil activation. So, what this
12 means is that even though -- of course, the
13 batches of IVIG are a pool of thousands of
14 different donors. But if you have donors that
15 have high levels of these anti-IgE autoantibodies,
16 these antibodies clearly have the potential to
17 activate basophils. And so, if you think about
18 people who could be prone to develop
19 hypersensitivity reactions because they have
20 mutations that could enhance mast cell activation,
21 these people could be likely to develop mast cell
22 activation upon injection of these autoantibodies.

1 And one thing is that these
2 autoantibodies are very common in the general
3 population. It's about 1 percent to 2 percent of
4 people that have autoantibodies against either the
5 receptor or IgE. And so, it's highly likely that
6 these autoantibodies are present in many batches
7 of IVIG. Next slide.

8 Then the second main pathway that can
9 trigger mast cell activation is this newly
10 described MRGPRX2 receptor. It's called MRGPRB2
11 in mice and X2 in humans. And it triggers what we
12 call pseudoallergic reactions. So, it's
13 degranulation of mast cells by many drugs, and
14 especially cationic molecules. Next slide.

15 And it's clear that -- and this was done
16 with human mast cells. If you knock down the
17 receptor, many of these cationic drugs cannot
18 activate mast cells anymore. So, as Fred
19 mentioned, if there are people developing these
20 anti-MRGPRX2 autoantibodies, and if these
21 autoantibodies are found in IVIG batches, these
22 would be likely to also cause direct activation

1 and degranulation of mast cells in the recipients.
2 Next slide.

3 Now, I will present more data on
4 IgG-mediated anaphylaxis, which is also a
5 potential explanation of the hypersensitive
6 reactions in some of these IVIG batches. So, as
7 was presented before, there are different
8 subclasses of IgG in mice and in human. Most of
9 the data that we have on IgG- mediated anaphylaxis
10 comes from mouse studies. So, I will start with
11 studies with mouse IgG, and then I will switch to
12 human IgG that have been studied using humanized
13 mice.

14 So, there are four main classes of IgG
15 in mouse -- IgG1, IgG2A, IgG2B, and also IgG3.
16 But I will mostly focus on IgG2A and 2B and IgG1.
17 And as you see here -- so, Fred told you that
18 anaphylaxis in mice is quantified by looking at
19 hypothermia. And actually, in mice, the three
20 classes -- so, in the center, it's IgG2A, even
21 though it's not written. So, IgG1, IgG2A, or
22 IgG2B can all induce anaphylaxis in mice. But

1 IgG2A or B are more potent at inducing anaphylaxis
2 than IgG1. And this likely reflects the fact that
3 the affinity of IgG2A and 2B for the FcR is much
4 higher than that of IgG1. Next slide.

5 So, as was presented before, there are
6 four IgG receptors in mice -- three that are
7 activating FcR1, 3, and 4, and one that is an
8 inhibitory receptor. And data from many groups
9 have pointed that, actually, there is one main
10 activating receptor mediating anaphylaxis to IgG
11 antibodies in mice, which is FcRIII. So, in
12 white, you have mice that are deficient for
13 FcRIII, and they can no longer develop IgG-
14 mediated anaphylaxis. Next slide.

15 So, these FcRs are expressed by many
16 cell populations. And if you look at FcRIII,
17 it's actually expressed by mast cells, basophils,
18 also eosinophils, neutrophils, monocyte,
19 macrophages. And so, all these cell populations
20 potentially could drive IgG anaphylaxis. Next
21 slide.

22 And so, this is actually experiments

1 from the group of Fred Finkelman. They studied
2 IgG-mediated anaphylaxis and IgE-mediated
3 anaphylaxis. And in white, you have mice that are
4 wild-type, and in black, mice that lack, well,
5 mast cells. So, these WWV mice are actually
6 deficient for mast cells. And what they reported,
7 which was confirmed by many different groups, is
8 that if you have IgE-mediated anaphylaxis, it no
9 longer develops in mice that lack mast cells. So,
10 clearly, mast cells are the main effector cells of
11 IgE-mediated anaphylaxis.

12 But if you induce IgG anaphylaxis, it
13 can still develop -- at least in most models, it
14 still develops in mice that lack mast cells. So,
15 there must be additional effector cells, different
16 effector cells, that mediate IgG anaphylaxis. And
17 for basophils, I'm not showing data, but the
18 contribution is still controversial. There are
19 some groups that have shown a contribution of
20 basophils for IgG anaphylaxis. And most of the
21 reports have shown little to no contribution, so
22 it's really still controversial. Next slide.

1 Neutrophils, there were reports about
2 the importance of neutrophils, because they
3 express high levels of FcRs, activating FcRs.
4 And these were studied, published, more than 10
5 years ago, using depleting antibodies that can
6 deplete

7 neutrophils in mice. And the white
8 group actually is a group that has been depleted
9 in neutrophils. And as you can see, they were
10 protected -- completely protected in two different
11 models of IgG anaphylaxis. So, these imply that
12 neutrophils must be key players of IgG
13 anaphylaxis. Next slide.

14 But here, I'm just sharing with you some
15 data that are still unpublished. They are from
16 our lab, but not published yet, in revision. We
17 have confirmed this data that you can block IgG
18 anaphylaxis with depleting antibodies that deplete
19 neutrophils. But we've developed a new model in
20 which you can deplete neutrophils without using
21 these antibodies. And as you can see, this is the
22 second panel and the fourth panel. In red, the

1 mice are depleted in neutrophils, and they still
2 fully develop anaphylaxis.

3 So, what these data imply, and it's
4 something that I want to point, is that as Fred
5 mentions, there are clearly many differences
6 between mice and human. And so, what I'm showing
7 you and what we are talking about today are data
8 from mice. But even in these data, there are
9 still many caveats in the approach that we are
10 using. So, the conclusion that we are making
11 cannot be definitive, but they point that, most
12 likely, neutrophils are not mediating anaphylaxis
13 through IgG antibodies. Next slide.

14 What was mostly shown by the group of
15 Fred Finkelman and what was also confirmed by many
16 other investigators is that monocytes and
17 macrophages are likely to mediate IgG anaphylaxis
18 in mice. This was mainly done using depletion
19 with different drugs, either toxic liposomes or
20 gadolinium in mice, which can deplete macrophages.
21 And using these pretreatments, mice became
22 protected to anaphylaxis. Next slide.

1 So, these are also data from the
2 Finkelman lab showing that if you mediate IgG
3 anaphylaxis and you treat the mice with
4 antagonists through either the PAF receptor or
5 histamine H1-receptor, you can markedly reduce the
6 severity of anaphylaxis. Next slide.

7 And so, again, coming from Fred's lab,
8 this is from a review that is no more than 15
9 years ago, but it's still pretty much what we know
10 about IgE and IgG-mediated anaphylaxis in mice.
11 Clearly, there are two main effector cells that
12 mediate anaphylaxis. These are mast cells and
13 macrophages. Mast cells are likely to mediate --
14 for sure, they mediate IgE anaphylaxis through the
15 FcRI receptor.

16 They could also mediate anaphylaxis
17 induced by IgG antibodies, because they also
18 express high levels of this activating FcRIII
19 receptor. But most likely, macrophages are the
20 main effector cells of IgG and anaphylaxis through
21 FcRIII. What these cells do is that they release
22 very fast histamine from mast cells and PAF from

1 macrophages. But I have to point that mast cells
2 can also release PAF to some extent, and that
3 these two main mediators, as Frank has shown you,
4 on their own, are able to induce all symptoms of
5 anaphylaxis in mice. Next slide.

6 So, now, this is the situation in mice.
7 But the situation in human might be even more
8 complex, because as was pointed before, human have
9 even more FcRs. Next slide.

10 So, to study this, there are different
11 groups that have generated mice humanized for this
12 FcRs. So, I'm not entering into the details of
13 all these mice were humanized and which exact
14 receptor they express. But the data I'm showing
15 you are with humanized mice that express most
16 human FcRs in place of the mouse FcRs. So, now,
17 they can respond to human IgG, and they do so
18 through the human FcRs.

19 And so, this is very important for
20 today's topic, is that this is from the group of
21 Pierre Bruhns in -- at the Pasteur Institute.
22 They use IVIG as a model to induce anaphylaxis.

1 And what they did is not simply injecting IVIG,
2 but they preheated this IVIG to aggregate the
3 antibody. So, if you heat the antibody, it will
4 aggregate the antibodies. And by doing so, it
5 will mimic immune complexes. And once you have
6 aggregated these antibodies, they are highly
7 potent at engaging FcRs. And so, if you take
8 this heat-aggregated IVIG and you inject that into
9 mice that are humanized for FcRs -- so, these are
10 the black groups -- it induces anaphylaxis -- and
11 actually, a pretty strong anaphylaxis with an
12 hypothermia of around 5 to 8 degrees.

13 And then, on the right panel, if you
14 block one of the activating human FcRs using a
15 blocking antibody -- so, the FcRII -- it
16 completely abrogates anaphylaxis. So, this is the
17 white square group, meaning, that even though
18 these mice express most of the human FcRs,
19 blocking one receptor, FcRIIA, is enough to block
20 anaphylaxis. So, this implies that, likely, this
21 receptor can trigger a very severe anaphylaxis.
22 Next slide.

1 So, now, in the same model of
2 heat-aggregated IVIG- mediated anaphylaxis, they
3 depleted macrophages. So, this is the left panel.
4 But they didn't see an involvement of macrophages
5 in this model. They depleted basophils using an
6 antibody which is called Ba103, and they saw very
7 little reduction in anaphylaxis. And here, they
8 depleted neutrophils on the right using anti-Ly6G
9 antibody, and fully blocked anaphylaxis. But as I
10 pointed before, based on our new data, we should
11 take care in the interpretation of this data,
12 because there are problems with the
13 neutrophil-depleting antibodies. Next slide.

14 And so, in the same model of
15 heat-aggregated IVIG- induced anaphylaxis, they
16 again blocked either the PAF receptor using an
17 antagonist -- or two different antagonists,
18 actually -- or the histamine H1-receptor, also
19 using two different antagonists. And exactly as
20 was shown with the mouse IgG, human IgG present in
21 this IVIG, heat-aggregated, induced anaphylaxis
22 through the release of PAF and of histamine in

1 humanized mouse. Next slide.

2 So, these are more recent data that were
3 done with the mouse model that is now fully
4 humanized so that they express all the human FcR
5 in place of all the human receptors -- so, these
6 are the triangles -- and as control in mice that
7 are deficient for all FcRs. So, they have
8 neither human nor mouse FcRs. And so, again,
9 anaphylaxis was induced by injecting
10 heat-aggregated -- sorry, yeah, heat-aggregated
11 IVIG. And as you can see on the top panel, it
12 induces anaphylaxis in the humanized mice, but
13 there is no sign of anaphylaxis in mice that lack
14 FcRs. So, again, it's going through human FcRs.

15 Again, in red, when you block the Fc γ IIA
16 receptor, it fully block anaphylaxis. And so,
17 now, if you look at the red -- the right panels,
18 so this is the platelet counts after inducing
19 anaphylaxis. So, as soon as 10 minutes after
20 injection of this heat-aggregated IVIG, there is a
21 huge drop in the platelet count. So, there is
22 thrombocytopenia that occurs during anaphylaxis.

1 And this thrombocytopenia is blocked when you
2 block the Fc γ RIIA receptor.

3 And now, if you look at the bottom
4 anaphylaxis panel -- so, the C -- here, they
5 depleted platelets using a depleting antibody, and
6 it partially blocked anaphylaxis. So, what this
7 implies is that likely, platelets also can mediate
8 anaphylaxis, and they actually express quite high
9 level of this Fc γ RIIA activating receptor. Next
10 slide.

11 And so, I just want to finish by showing
12 you some data that are not done with IVIG, but are
13 done with a therapeutic antibody called
14 omalizumab. It's an antibody that is used in the
15 clinic as an anti-IgE antibody. And there are
16 some reports of side effects, even anaphylaxis, in
17 a minority of patients that are treated with this
18 IgG, anti-IgE antibody. Next slide.

19 And so, what we've done a couple of
20 years ago is using the same humanized mice -- so,
21 mice that express all human FcRs -- and as
22 control mice that are knockouts for all FcRs. We

1 looked at both local and systemic adverse
2 reactions to this antibody, omalizumab, when
3 complexed with IgE. So, we preformed immune
4 complexes by incubating human IgE with omalizumab,
5 and we injected these immune complexes either
6 subcutaneously to induce local reactions, or IV to
7 induce systemic reactions.

8 And so, if you look at the upper panels
9 -- sorry, yeah. What you see, it's a
10 bioluminescent signal that is turned on when
11 neutrophils are activated. And actually, we saw
12 this bioluminescent signal when we injected only
13 the immune complexes, and only in mice that
14 express human FcRs, but not in mice that lack
15 these FcRs. So, what this implies is that local
16 activation of FcRs at the injection site can
17 trigger local inflammation and the activation of
18 neutrophils.

19 And in the bottom, it's exactly the
20 same, but we injected these immune complexes IV.
21 And again, we observed anaphylaxis in mice that
22 express the human FcRs, but not in mice that lack

1 these FcRs. And now, if you look at the right
2 bottom panel. In green, you have mice that
3 additionally lack -- so, they express the human
4 FcRs, but they lack the complement C1Q component.
5 And what we observe is that in these mice,
6 anaphylaxis was almost completely abrogated.

7 So, what this implies is it's not only
8 about activation of FcRs, but IgG immune
9 complexes also activate the complement pathway by
10 binding to this C1Q molecule. And clearly, this
11 C1Q molecule and the cascade of complement
12 activation is also implicated in anaphylaxis.
13 Next slide.

14 And so, using the same model of
15 immune-complexes- induced anaphylaxis, what we've
16 shown is that you see anaphylaxis in mice that
17 express all of the FcRs, but not in mice that
18 only express the FcRIIA. So, in this model, it's
19 likely that it's a different receptor that
20 actually induce anaphylaxis, different from
21 FcRIIA. And what we found is the blockade of the
22 Fc?III also abrogates anaphylaxis. So, what this

1 implies is that the story might be even more
2 complex, and that it's not only one receptor, one
3 FcR, but multiple FcRs that can trigger
4 anaphylaxis with human IgG. Next slide.

5 And so, I will finish with that. So,
6 it's a review that is now two years ago, and I
7 think it's already a little bit outdated, based on
8 some new data we have. But what it shows is that
9 there are many pathways, to summarize, that can
10 induce anaphylaxis with human antibodies. Human
11 IgE can trigger anaphylaxis by activating the main
12 receptor, FcRI, on mast cells, and perhaps on
13 basophils.

14 Many drugs can trigger anaphylaxis by
15 directly activating mast cells through this newly
16 recognized receptor, MRGPRX2. And then IgG immune
17 complexes can induce anaphylaxis by activating
18 either platelets through FcRIIA or macrophages
19 through IIA or FcRIII. And perhaps, they can
20 also -- they certainly activate neutrophils, and
21 perhaps neutrophils also mediate anaphylaxis. But
22 this is somewhat compromised now, by some of the

1 new data we have.

2 And something that is lacking in this
3 drawing is that certainly, these immune complexes
4 also activate complement cascade that also can
5 trigger anaphylaxis. And the two main mediators
6 of anaphylaxis that have been described are PAF,
7 which is released by neutrophils, macrophages, and
8 also, to some extent, by mast cells, and mast
9 cells and basophils release histamine. And all --
10 and these two mediators on their own can induce
11 all signs of anaphylaxis. And so, I will finish
12 there. And I believe that the three of us can
13 take questions.

14 DR. SCOTT: Thank you very much.
15 Excellent. And --

16 MS. ELLER: Okay. Yeah, we have a
17 number of questions. The first one is regarding
18 mechanisms of action. What is the difference
19 between anaphylaxis and anaphylactoid reaction?

20 DR. FINKELMAN: The terminology is
21 really complicated. And probably, the short
22 answer is, there really isn't any difference. At

1 one point, anaphylaxis was very tightly defined as
2 being mediated by IgE, FcRI, mast cells, or
3 basophils. And anything else was called an
4 anaphylactoid reaction. But this got so
5 complicated that the powers that the analogy
6 redefined things and just called all of these
7 immediate-type hypersensitivity reactions that
8 occur quickly, that involve mediators, that
9 involve any of these immune cells that my
10 colleagues have spoken about, are called
11 anaphylaxis. If you look in literature, you can
12 still find the older terminology. And that's why
13 it makes it either difficult or interesting,
14 depending on your philosophy.

15 MS. ELLER: All right, we'll move to the
16 next one. Is there a possibility that an
17 IgG-class molecule can trigger crosslinking
18 between FcRI without involving IgE?

19 DR. FINKELMAN: Again, yeah, if it's an
20 IgG antibody, as both Robert and Laurent spoke to,
21 that is specific for FcRI -- so, its Fab part
22 binds to FcRI -- then since IgG is bivalent, it

1 can crosslink FcRI and trigger mast cells or
2 basophils in that way. And that's --

3 DR. REBER: These autoantibodies are
4 actually very frequent in the general population.
5 It's 1 to 2 percent of people that actually have
6 these autoantibodies. Of course, they are not
7 sick by having these antibodies. And I think if
8 someone developed these antibodies, and on top of
9 that, has a hypersensitive mast cell or has more
10 mast cells than normal, it is likely that then, it
11 can become pathogenic. And so, this might explain
12 also why not all of the patients develop
13 anaphylaxis when they're injected with IVIG --
14 with these patches of IVIG, is that certainly
15 there is something in the IVIG pool that triggers
16 activation. But then there is something in the
17 recipient --

18 DR. SCOTT: Mm-hmm.

19 DR. REBER: -- that makes it more
20 sensitive to develop anaphylaxis.

21 MS. ELLER: Okay. If no more comments,
22 we'll move to the next question. "Is it known

1 whether mouse anaphylaxis correlates well to
2 humans? I know animal immunogenicity data
3 generally does not translate due to MHC
4 differences." I know this had been talked about a
5 little bit earlier, but maybe you can expand for
6 this person.

7 DR. FINKELMAN: My argument would be --
8 yeah, the mechanism seemed to be very similar.
9 You can do experiments in mice that, as Laurent
10 discussed, have human receptors that are treated
11 with human antibodies, and get very similar
12 disease to what you see in mice with mouse
13 receptors and mouse antibodies. You can do
14 experiments in immunodeficient mice that have been
15 reconstituted with human cells that develop large
16 numbers of human mast cells and that are primed
17 with human IgE that develop very similar disease
18 to what's seen in normal mice, using the normal
19 mouse antibodies and receptors. I think the one
20 thing that we can't do right now is make mice that
21 have human target organs, like a human smooth
22 muscle or human vascular endothelium. So, there

1 certainly could be differences there.

2 The types of reactions, the types of
3 antibodies that are involved, the types of cells
4 that seem to be involved seem to be very similar
5 in mouse and human. And there's -- I'm not aware
6 of any major reason to think that the two
7 processes are fundamentally different.

8 DR. REBER: If you take the example of
9 IgE anaphylaxis, I think there is still one -- one
10 main mystery is that the amount of IgE that we
11 need in mice, it's actually much -- and antigen
12 that we need in mice is much higher than in human
13 to trigger anaphylaxis. In human, you can have --
14 if you're allergic to peanuts, you can develop
15 very severe anaphylaxis to really traces of
16 peanut. In mice, to model that, we still need
17 much more antigen and probably much more
18 antibodies.

19 So, probably, we are underestimating
20 maybe the anaphylactic shock. But in terms of
21 effector cells, receptors, et cetera, I think it
22 models very well the -- what we see in human, at

1 least for IgE.

2 DR. ANTHONY: Yeah, I think these points
3 are all well taken. And it's also, you know,
4 limited by the access that we have to human
5 samples. I mean, we really are limited to look
6 what's in the blood. It's hard to get into the
7 tissue, which is really where, you know, they're
8 kind of -- the mast cells and the IgE is, right?
9 So, I think, like, you know, to Fred's point, it's
10 -- it really lines up as best as we can. And this
11 is really the systems that we have to work with.

12 MS. ELLER: Okay. The next question is
13 for Dr. Finkelman. It's from Neil Blumberg. And
14 he says, "Your old Harkness Dorm co-resident from
15 '70, '71 is here." And he asks, "Allergic
16 transfusion reactions might be a potential similar
17 issue. It appears that donors who are atopic may
18 be more likely to cause reactions -- mechanism,
19 largely unknown. Would collecting information
20 about donor-atopic diseases/conditions be a useful
21 way forward, perhaps?"

22 DR. FINKELMAN: Hi, Neil. And the

1 answer is absolutely, yes. I think it's been
2 referred to by some of the speakers that people
3 who have asthma, for example, are more likely to
4 get an asthmatic type of reaction to IVIG. People
5 who have mastocytosis or large numbers of mast
6 cells for other reasons or allergic diseases of
7 any sort are more likely to get that type of
8 reaction to IVIG. That information is based on
9 very limited data. If we had more data, we could
10 pin the specifics down, and I think, much more
11 tightly. It'd be great to have more data on the
12 -- both the donors and the recipients.

13 DR. SCOTT: Yeah.

14 MS. ELLER: So, he missed part of your
15 presentation, so he's hoping he could get a copy
16 of your slides. But I believe you gave your email
17 address and were willing to share.

18 DR. FINKELMAN: Yeah.

19 MS. ELLER: Okay. The next one actually
20 has to do -- she's curious on how exercise makes
21 people more susceptible to anaphylactic reactions.
22 Is it exercise or lack thereof, and can you

1 elaborate on how, why, and when exercise
2 immediately following exposure to -- due to
3 increased blood flow?

4 DR. FINKELMAN: Yeah. I don't think
5 this has really been well studied. It's a very
6 interesting question, and it's probably an
7 important question. The hypothesis has been
8 raised that, yes, exercise -- increasing blood
9 flow is going to cause dissemination of the
10 causative agent into the systemic circulation or
11 to the site that's important more quickly. But
12 that's really intelligent speculation rather than
13 something that's been demonstrated by data. It's
14 just a pragmatic observation that people who have
15 recently exercised are more likely to get
16 anaphylaxis after a number of different stimuli,
17 including food-induced anaphylaxis.

18 MS. ELLER: Okay. Next question is also
19 for Dr. Finkelman. You said only a rather small
20 number of patients develop hypersensitivity
21 reactions after administration of specific lots of
22 IVIG. Does this refer to the number of reports

1 received by FDA or the manufacturers compared to
2 the number of vials used from these lots, or how
3 do you know that other patients have tolerated
4 product from these lots?

5 DR. FINKELMAN: I'm probably not the
6 best person to answer that. Maybe we should --

7 MS. ELLER: Maybe Meghna could, if she's
8 still on?

9 DR. ALIMCHANDANI: Sorry, could you say
10 that again?

11 MS. ELLER: They're asking -- they said,
12 "Only a small number of patients develop
13 hypersensitivity reactions after administration of
14 specific lots of IVIG. Does this refer to the
15 number of reports received by FDA or the
16 manufacturers compared to the number of vials used
17 from these lots, or how do you know that other
18 patients have tolerated product from these lots?

19 DR. ALIMCHANDANI: Yeah. So, this is
20 all based on reporting. So, it's not actual --
21 again, as I was saying, it's not actual incidence
22 of hypersensitivity reactions. And we heard from

1 Ms. Kara earlier that, you know, her incidence of
2 hypersensitivity was not actually reported. So,
3 there, you know, may certainly be allergic
4 reactions in patients that do not get reported to
5 the manufacturer or FDA. I hope that helps to
6 answer.

7 DR. FINKELMAN: But I think these lots
8 go to a large number of people --

9 DR. ALIMCHANDANI: Yeah.

10 DR. FINKELMAN: -- in general, so that
11 if -- yeah, if 90 percent of recipients develop
12 the significant reaction, even with very imperfect
13 reporting, you'd probably know about it.

14 DR. ALIMCHANDANI: Yes, yes, thousands
15 of vials. And as I said -- I mean, the baseline
16 number of reports that we get are very low. It's,
17 you know, either no reports, or one or two.

18 DR. FINKELMAN: And even in the mouse
19 studies, if you, say, went down on the stimulus,
20 you would see mice that clinically look perfectly
21 normal. But if you looked at their blood, you
22 would see that there was enzymatic evidence of

1 mast cell degranulation or macrophage stimulation.
2 And that may well be what's happening in people
3 who are less susceptible to anaphylaxis, who are
4 getting one of these -- what I call pathogenic
5 lots. They may have a reaction, but it may never
6 reach the level of being clinically apparent.

7 MS. ELLER: Okay. The next question is,
8 can lot-to-lot variation of antibody
9 glycosylation give rise to hypersensitivity
10 reactions in patients receiving IVIG?

11 DR. ANTHONY: So, my understanding is
12 that IVIG is from tens of thousands of donors.
13 And so, because it's so many different donors, I
14 think that there's going to be some very -- I
15 think, globally, the glycosylation pattern is not
16 going to be that different, particularly when
17 people are fairly healthy.

18 I would be more interested in thinking
19 about what the allotypes that are present to see
20 if that somehow is contributing, you know, because
21 there's definitely these regional differences from
22 where the donors are collected from. But there

1 certainly is glycosylation batch variability for
2 some of the monoclonal antibodies. That's been
3 reported.

4 DR. FINKELMAN: Robert, if you look at
5 the single individual level, do you ever find
6 people who have, you know, very unusual
7 glycosylation patterns that would be more likely
8 to cause a reaction?

9 DR. ANTHONY: So, generally, to my
10 knowledge, not really. That's because
11 glycosylation is such a common pathway for all
12 different cells and systems, right? So, if there
13 is some deficiency in it, usually, there is, you
14 know, very serious symptoms that manifest that
15 make, you know, the immune kind of, you know,
16 sequelae almost, like, irrelevant, right?

17 So, generally, you know -- yeah, if
18 somebody does have a glycosylation deficiency,
19 there is other very serious complications that are
20 associated with it. But, you know, there are
21 certainly, you know, changes to glycosylation that
22 are associated with, you know, ongoing

1 inflammation, For example. It can either be an
2 infection or a vaccination, et cetera. You do see
3 differences in glycosylation, for sure. But, you
4 know, I'm just guessing, you know, if it's really
5 just tens of thousands of people, that would
6 really be diluted out. But it's just a guess.

7 MS. ELLER: If there's no other
8 comments, I'll move to the next question. What is
9 the difference between a hypersensitivity reaction
10 and infusion-related reaction? Does anyone --

11 DR. ALIMCHANDANI: Should I --

12 MS. ELLER: Yeah, I think, Meghna, you
13 may want to pick that one.

14 DR. ALIMCHANDANI: Yes, yes. No, that's
15 a good question. So, infusion-related reaction, I
16 think it's just more a broader term, maybe. I
17 think hypersensitivity is a much more specific
18 term. And that brings up another -- you know,
19 another aspect of the post-marketing reports.
20 They are coded by preferred terms. So, a lot of
21 this is, you know -- there are similar, you know,
22 preferred terms describing the same thing. And

1 one report has multiple, you know, preferred
2 terms. So, it's used interchangeably in the same
3 report, maybe coded as infusion site reaction,
4 hypersensitivity reaction, pruritus, you know, et
5 cetera. So, just something else to keep in mind.
6 But it's all, you know, describing the same
7 clinical, you know, event. Thanks.

8 MS. ELLER: Next question is, what
9 markers can we look for in the hosts that react to
10 IVIG with hypersensitivity?

11 DR. FINKELMAN: When you find one,
12 patent it.

13 (Laughter) Yeah, I would think that
14 a host with a large number of mast
15 cells, that a host with a strong
16 history of allergy or asthma of any
17 sort. But I don't think there are
18 any specific genetic markers known
19 that would predict susceptibility.

20 DR. REBER: The only thing -- looking at
21 baseline tryptase level might be important,
22 because this will really reflect if patients are

1 -- a mast cell pool that is higher than normal, or
2 a mast pool activity at baseline that is higher
3 than normal, these people might be more
4 susceptible to develop hypersensitivity reactions.
5 This is what is observed in mastocytosis, for
6 example.

7 DR. FINKELMAN: I guess it's been known
8 for a long time that IgA-deficient patients are
9 more likely to have anti- IgA antibodies, which
10 could cause an anaphylactic reaction if they
11 receive IVIG that has IgA in it.

12 MS. ELLER: All right. If there's no
13 further comments, I'll move to the next. If a
14 patient has anaphylaxis due to mechanisms outside
15 of IgE, would you still expect worsening with
16 subsequent exposure? Should patients not be given
17 the product again, or can you premedicate?

18 DR. REBER: Well, based on what we
19 heard, I guess not giving the same product batch,
20 for sure. But probably -- I mean, it's a matter
21 of batch. So, if that patients didn't have
22 anaphylaxis for years with different IVIG batch,

1 it's certainly not giving the same batch. But
2 then changing batch should be okay.

3 DR. FINKELMAN: It's a really hard
4 question to answer, because -- yeah, in a single
5 individual who develops a reaction, we don't know
6 what's mediating that reaction. And in a single
7 lot of IVIG that causes an increased number of
8 reactions, we don't know why it's causing those
9 reactions. So, as Laurent suggested, we should, I
10 think, err on the side of cautiousness and infuse
11 with a different lot. And if I were the one who
12 was receiving it, I would want to be premedicated.

13 MS. ELLER: And I believe that's what
14 Kara talked to -- the patient talked to us
15 earlier, that she changed products as well as more
16 premedication. Next question, which mouse models
17 would help screen IVIG to avoid hypersensitivity?
18 Isn't that the gold standard we would like? Any
19 comments?

20 DR. REBER: Certainly, these humanized
21 FcR mice, I think, would be a way to go.

22 MS. ELLER: Yeah.

1 DR. FINKELMAN: Uh-huh.

2 DR. REBER: I mean, these are the best
3 models that I can think about.

4 MS. ELLER: Okay.

5 DR. REBER: But that being said, we -- I
6 mean, we've used these mice with different IVIG
7 batches. And without heat aggregation, we never
8 saw any sign of anaphylaxis in these mice. So, it
9 might still be very hard to model.

10 DR. FINKELMAN: Laurent, did you use
11 IVIG batches that were known to have an increased
12 frequency of reactions?

13 DR. REBER: No, no.

14 DR. SCOTT: That might be worth looking
15 at.

16 DR. REBER: Yep.

17 MS. ELLER: All right. Next question
18 looks like it's for FDA. Is any testing specific
19 for anaphylaxis required by regulators before
20 clinical studies or commercial lot release for
21 IVIG or other protein products?

22 DR. SCOTT: I think we would be happy if

1 there were such a test. There's no specific
2 requirement, nor have we really been able to come
3 up with one that's rational and science-based and
4 predictive to help us out in that way.

5 DR. REBER: So, now, there are several
6 mast cell lines that respond pretty well to IVIG
7 --

8 DR. SCOTT: Mm-hmm.

9 DR. REBER: -- and that also express
10 this MRGPRX2 receptor. So, maybe one potential
11 thing in the future would be to screen these lots
12 using a mast cell line, at least to avoid any of
13 this mast cell activation. But again, this
14 probably won't catch all potential pathogenic
15 batches, but --

16 MS. ELLER: All right. Next question.
17 Do patients who experience adverse events to a
18 certain lot of IGIV and subsequently continue
19 therapy with pretreatment regimen eventually be
20 weaned off of pretreatment? In other words, does
21 the body eventually calm down?

22 DR. SCOTT: So, I'll start answering it,

1 and Dr. Alimchandani can add to that. We've seen
2 -- or we've had reports of some cases of repeat
3 administration of the implicated lot, and those
4 people at least getting just one re-
5 administration of the implicated lot typically
6 have a
7 reaction again. And then that lot is
8 not given to the patient a third
9 time. In some cases, the brand of IVIG
10 is also changed. So, there may not be any data
11 available to tell us whether there's a
12 desensitization process that can occur after
13 more than one dose.

14 DR. ALIMCHANDANI: Yeah, we -- as Dr.
15 Scott mentioned, we've had these reports of
16 rechallenge. You know, not very many, but we have
17 reports, and they did, again -- you know, there
18 was no desensitization. They did, again,
19 experience hypersensitivity reaction. So, we
20 don't really have any more information on whether,
21 you know, the body calms down, as the comment as
22 said.

1 MS. ELLER: Okay. Next question. Has
2 there been studies comparing plasma collected from
3 the U.S. versus Europe, and if AE triggers in IGIV
4 pools are tied to demographics, lifestyle, diet,
5 et cetera, that we can learn from?

6 DR. ALIMCHANDANI: I think those are all
7 good comments and thoughts. I'm not aware of any
8 studies, but perhaps there will be in the future.

9 DR. SCOTT: I think what's important to
10 know is that we export a lot of -- or a lot of
11 plasma units are exported to make European
12 products. Not exclusively, by any means, and
13 there have been efforts in Europe always to be
14 self-sufficient in plasma production.
15 Nevertheless, based on logistics, for example, it
16 may be that the European firms have simply not
17 received any implicated plasmas -- or
18 retrospectively, at least, implicated plasmas.
19 But we don't know that for sure.

20 DR. FINKELMAN: Dot, what's known about
21 the diseases that would exclude somebody from
22 being a plasma donor?

1 DR. SCOTT: Well, there's -- there are a
2 number of them, but they do not include systemic
3 mastocytosis, for example, or atopic individuals.
4 They -- people are asked about allergies, but that
5 would be common allergies like to medications and
6 so forth. We have looked at a lot of these
7 reports. There's no particular allergy -- for
8 example, to penicillin -- that stands out. I
9 mean, some people have a lot of allergies, but
10 there's no one thing that stands out. That's
11 about the best I can do.

12 DR. FINKELMAN: Is there a question
13 about chronic spontaneous urticaria?

14 DR. SCOTT: No, there is not. But what
15 we really need to know more about is our donors --
16 as you were saying -- and to see if anything would
17 match this. So, we'll be hearing a lot about
18 donors in the second part. And hopefully, that
19 might clarify some things. But donors themselves
20 are sometimes difficult to get a detailed history
21 from. And that would not be -- a very detailed
22 history like that so far is not routinely taken.

1 DR. REBER: I mean, perhaps screening
2 for anti-IgE and FcRI autoantibodies could be an
3 easy screen to perform on the donors' IgG, or at
4 least on the different pools, on the different
5 batches.

6 DR. ANTHONY: This is just a comment I
7 thought, and I'm hoping that, you know, Fred and
8 Laurent can comment on this. But, you know, this
9 really does seem to be a pretty unique case.
10 Like, we're all vaccinated against flu and COVID,
11 and would be exposed subsequently to those
12 pathogens, which would cause immune -- you know,
13 IgG production and immune complexes that do not
14 often trigger anaphylactic responses. So, you
15 know, one my fellow panelists think that's so
16 unique to this IVIG infusion, that that's
17 different from, you know, how IgG antibodies
18 typically work.

19 DR. SCOTT: I think you've asked one of
20 the major questions. And I think what would help
21 us would be to have samples from, if I should call
22 them this, implicated donors, donors in common

1 with the various multiple lots of
2 hypersensitivity-inducing IgG, and see what they
3 have in common both from a history standpoint, but
4 also from a biological standpoint with respect to
5 these kinds of reactions. But again, I think that
6 there will be a little bit of clarity that we get
7 in the second half of the workshop about ways in
8 which this has been done, and some of the
9 limitations as well.

10 DR. FINKELMAN: No, it would be -- if
11 it's coming from a single donor, or it's -- that
12 donor must have something incredibly potent that
13 can withstand being diluted 1 to 1,000 or one 1 to
14 10,000. And if it -- for example, if it were an
15 antibody to FcRI, it would have to be a
16 high-titer, high-affinity antibody.

17 MS. ELLER: Well, this is a reason we're
18 having the workshop. We hope to come up with some
19 directions for research towards being able to take
20 pragmatic actions to try to prevent, or at least
21 -- even if not totally prevent, to more quickly be
22 able to identify whether or not there's a

1 particular donor or set of donors that are
2 involved.

3 DR. FINKELMAN: Yeah. But the humanized
4 mice that Laurent mentioned, even if it's not
5 practical to use them for screening, they're a
6 wonderful resource to -- for scientific
7 investigation of trying to figure out why a
8 particular lot of IVIG might be frequently
9 pathogenic.

10 DR. SCOTT: Absolutely, absolutely. It
11 would be nice if he had some allergenic immune
12 globulin to test, wouldn't it?

13 DR. REBER: And what is known about the
14 possibility that there is some aggregates that
15 occurs in the hospital before injection of this
16 IVIG -- because that's the way we trigger
17 anaphylaxis in non-humanized mice, by aggregating
18 this IgG. And it's very potent at engaging FcRs.
19 So, if there is a little bit of aggregates in
20 these specific lots -- that can occur after the
21 batch has been actually opened in the hospital --
22 this could also generate adverse reactions.

1 DR. SCOTT: That's potentially possible.
2 But there are some other tests that are done at
3 lot release that help to screen out aggregates.
4 Certainly visible aggregates, vials containing
5 visible aggregates, or lots with a high percentage
6 of visible aggregates, are excluded from being
7 distributed. We -- also, it is routine to perform
8 HPLC, which will give you some information about
9 aggregation.

10 But also, we've discussed amongst
11 ourselves a possibility, not only for this reason,
12 but for other reasons as well, of looking more
13 carefully at subvisible particulates and
14 aggregates that you won't detect on HPLC, in part,
15 because of the filters at the top of the column
16 that are -- to prevent the column fouling. And we
17 think that perhaps it -- that would be useful.

18 We do have some unpublished information
19 looking at hypersensitivity lots versus
20 non-implicated

21 lots. We've looked at dynamic light
22 scattering in a few cases, and that has not shown

1 us a clear difference. But there are other
2 methods that are perhaps more sensitive and more
3 informative, like FlowCam, where we might also
4 look. I don't know if Ms. Eller wants to say
5 anything additional about this, since she is the
6 one who works on some of -- some aspects of
7 particulates and aggregates.

8 I should also mention that we have
9 another test, the anticomplementary activity
10 functional assay, which is done for all of our
11 lots of all of our products. And we haven't found
12 any anomalies there. And that has -- that's sort
13 of an indirect measure of whether you have
14 activating immune complexes.

15 MS. ELLER: So, we've done dynamic light
16 scattering on material that we've received, and it
17 doesn't differ from what we see in our historical
18 lots that we've tested. It has a similar pattern.
19 It has a similar polydispersity index. So,
20 nothing in there indicates that it has a different
21 level of aggregation, but DLS is not very
22 sensitive. It's, you know, comparing small

1 changes. So, there could be a small increase in
2 subvisible particles, but you would never really
3 detect it by DLS. We haven't done the FlowCam
4 yet, but that is in the future.

5 DR. SCOTT: Another question?

6 MS. ELLER: Okay. Can you please expand
7 on the area that platelet cells also have FC
8 receptor sites that also causes thrombocytopenia?

9 DR. REBER: So, platelets express
10 FcRIIA, which is the receptor that we found is
11 the main trigger of human IgG anaphylaxis in our
12 humanized mice. They actually express quite a
13 high level of this FcRIIA. It is specific for
14 human platelets in, you know, humanized mice
15 because most platelets do not express these FcRs.
16 So, this is something that only occurs in the
17 humanized mice, and perhaps in humans.

18 And what platelets do is that they can
19 express and release serotonin, which also, on its
20 own, if you inject serotonin in a mouse, will
21 induce the same signs of -- sign of anaphylaxis
22 than histamine or PAF. So, potentially, the

1 release of serotonin by platelets could trigger
2 anaphylaxis. But again, this is far from being
3 really demonstrated. It's just a few evidence
4 that we have in mice. The thrombocytopenia that
5 we observed during anaphylaxis in mice probably
6 reflects this huge activation of platelets, and
7 probably, they aggregate somewhere in the blood
8 vessels or -- so, it really reflects activation of
9 the cells. And this clearly is mediated by
10 FcRIIA in our model.

11 MS. ELLER: Dot, we're at 11:38.

12 DR. SCOTT: I was just looking for the
13 time. All right. Okay. How many more questions
14 do you have?

15 MS. ELLER: More than 10.

16 DR. SCOTT: Oh, my. All right. Just
17 pick two more.

18 MS. ELLER: Oh, okay. What is the role
19 of infusion rate in hypersensitivity reactions?

20 DR. FINKELMAN: The higher the infusion
21 rate, the more likely the reaction will occur.

22 And in some cases, if people are developing a very

1 mild reaction, like a bit of a headache, for
2 example, you can slow down the infusion rate and
3 avoid having a more severe reaction. I think, in
4 the patient we heard from, Kara, she mentioned
5 that after she had a bad reaction, they halved the
6 infusion rate for her, which certainly will be
7 protective.

8 MS. ELLER: What sorts of temperature
9 and duration of heating is required to create
10 aggregation? A 37 C routine blood warmer would
11 presumably not be adequate for this aggregate
12 formation, correct?

13 DR. REBER: No, no, it's quite a
14 dramatic heat that we -- like, I don't remember.
15 It was, like, 65 degrees.

16 DR. SCOTT: 60, yeah.

17 DR. REBER: So, it's not something that
18 will occur in your batch for sure.

19 MS. ELLER: One last one. Considering
20 that the U.S. Exports a good volume of plasma for
21 production of IGIV for ex- U.S. countries, but
22 that we don't see similar clusters of AEs, could

1 there be other factors masking this information --
2 differences in donor screening, AE reporting
3 criteria, standards of health care, childhood
4 vaccinations, et cetera?

5 DR. REBER: Yes, certainly all of that.
6 I mean, one thing for sure is that because you
7 don't observe that in the majority of patients, it
8 is patient-dependent. So, it is -- it can depend
9 on genetic environmental factors. I guess that's
10 what we're discussing today, is we don't know what
11 are these factors, but they are donor and
12 recipient-specific.

13 MS. ELLER: One last one. Do you
14 observe any differences in the rate of
15 hypersensitivity reactions between the patients
16 treated with IG for various medical conditions?

17 DR. FINKELMAN: Yeah, I think the FDA
18 can probably give the better answers to that. But
19 the answer is yes, that there are some conditions
20 -- well, it's certainly -- there are some
21 conditions that really don't classify as immediate
22 hypersensitivity reactions, like platelet

1 problems, in which IVIG is more likely to cause
2 exacerbation of a problem. And the preexisting
3 presence of asthma is -- makes it more likely that
4 IVIG is going to cause an asthma-like reaction.

5 DR. SCOTT: Meghna, do you want to add
6 anything?

7 DR. ALIMCHANDANI: Yeah, I didn't have
8 anything to add. Thanks, Dr. Finkelman.

9 MS. ELLER: Okay, Dot. I think we --

10 DR. SCOTT: Okay. I think it's time to
11 let everybody go to lunch. And what is the time
12 we reconvene, Nancy? That's okay, I've got it --
13 at 12:15. So, we'll see everybody at 12:15. And
14 for those of you who have not had your questions
15 answered, we'll be showing a -- an email address.
16 Actually, I think it's on my slide, and I will
17 post it as well, where you can -- where we will
18 answer your question to the best of our ability,
19 but it won't be right away. So, I'll post that
20 during the intermission. And everybody, have a
21 good rest or snack and so forth. And we'll see
22 you back soon. Thank you.

1 (Recess)

2 DR. SCOTT: Hello, good afternoon. I
3 hope everybody had a nice break. We're going to
4 move on, but first I want to thank our speakers
5 from the first half of the workshop for their
6 excellent presentations, very informative, and I
7 think we'll find them helpful as we move into this
8 next phase of the presentations. It looks as if a
9 moderate number of people have returned, and I
10 think for the sake of time we should go ahead and
11 proceed.

12 We first have, these are the
13 manufacturer investigations of the immunoglobulin-
14 mediated hypersensitivity reactions. And first we
15 have Dr. Nathan Roth, Vice President of Global
16 CMC, Global Pathogen Safety and Plasma Product
17 Development, as it's written here, from CSL
18 Behring. So he's representing the work done by
19 CSL Behring on this particular issue. So thank
20 you, Nathan.

21 MS. REVELL: Could I just interject
22 really quickly, if you're not speaking, can you

1 make sure you put your microphones on mute,
2 please.

3 DR. SCOTT: Thank you. So are you ready
4 to begin?

5 DR. ROTH: I'm ready, thank you.

6 DR. SCOTT: All right.

7 DR. ROTH: Thank you, Dr. Scott. Good
8 afternoon. I would like to thank the FDA for
9 organizing this public workshop today. My name is
10 Nathan Roth and I am Vice President of Plasma
11 Product Development at CSL Behring. I have the
12 pleasure of opening up the manufacturers' session
13 to help set the stage for our discussion. Next
14 slide.

15 I am going to start off by providing a
16 general overview on behalf of the manufacturers
17 here with some general information surrounding the
18 manufacturing processes and quality controls for
19 immunoglobulin products. And then I will
20 transition into sharing CSL's experience with
21 regard to these lot specific hypersensitive
22 events. Following the presentations from all

1 three companies, we will open up for questions and
2 answers. Next slide, please.

3 To start we want to communicate our
4 shared goals to ensure patient safety and preserve
5 immunoglobulin product availability. This is
6 important because immunoglobulin products are used
7 to treat -- next slide, please. To treat a
8 variety of conditions, including for patients who
9 have immunodeficiencies and those who live with
10 other autoimmune diseases. They are also a
11 precious resource as they are derived from donated
12 human plasma. I think the slide has not moved
13 forward yet. Next slide, please. Great.

14 And while hypersensitivity events are
15 listed as common reactions for all immunoglobulin
16 products, we're here today due to an unusual
17 increase in lot specific sporadic groupings of
18 hypersensitivity reactions that resulted in
19 voluntary market withdrawals of IG products. As
20 an industry we are committed to working
21 collaboratively to identify the root cause of
22 these observations, share scientific knowledge,

1 and find effective and efficient solutions
2 applicable across all manufacturers to minimize
3 future occurrences, maintain patient access and
4 ensure patient safety. Next slide, please.

5 To grant us all in the scope of use for
6 these products FDA recently published a paper in
7 the Journal of Transfusion which described
8 immunoglobulin usage trends. Between the 10-year
9 period from 2009 to 2019 there were more than
10 300,000 patients treated with immunoglobulin
11 products, both chronic and acute conditions, with
12 infusions occurring either at infusion centers for
13 i.v. administrations or at home with subcutaneous
14 injections. Next slide, please.

15 Let's now move into a high-level
16 overview about how these immunoglobulin protein
17 products are manufactured and the controls that
18 are in place to ensure their quality. Next slide,
19 please.

20 The immunoglobulin manufacturing process
21 starts with donor screening and collection of
22 plasma from qualified donors. To become a

1 qualified donor applicant donors must pass two
2 separate medical screenings. The collected plasma
3 then undergoes testing for a variety of biomarkers
4 and other attributes. Plasma donations that meet
5 the quality standards are shipped to manufacturing
6 sites for pooling. Plasma pools generally consist
7 of several thousand donations. And then the
8 pooled plasma undergoes cold ethanol fractionation
9 to produce intermediates that are enriched in
10 immunoglobulins. These intermediates are stored
11 frozen and shipped to sites for further
12 manufacturing.

13 The final stage of manufacturing is the
14 immunoglobulin purification process, during which
15 the immunoglobulin enriched intermediates are
16 resuspended and undergo further purification and
17 viral inactivation and removal steps to produce
18 the final product that is primarily immunoglobulin
19 G with trace amounts of IgA and IgM. The final
20 product undergoes final quality control testing,
21 quality release, and distribution for patient use.
22 Next slide, please.

1 The manufacturing of immunoglobulin
2 products is done under FDA approved licenses at
3 FDA inspected facilities per strict regulations.
4 There are extensive quality measures in place
5 across the manufacturing stages to ensure the
6 reliable production of safe and efficacious
7 products. These include screening of donors and
8 plasma, testing of raw materials, intermediates,
9 and final product to registered specifications,
10 in-process controls, quality review of batch
11 records, quality release of final product, and
12 post-marketing surveillance.

13 This comprehensive quality approach
14 ensures the reliable production and supply of safe
15 and effective immunoglobulin therapies for our
16 patients both within the United States and
17 globally. Next slide, please.

18 So with that as a general background for
19 immunoglobulin products let me now move more
20 specifically into CSL's recent experience with
21 these rare lot specific immunoglobulin
22 hypersensitivity reactions that resulted in a

1 voluntary withdrawal of product from the U.S.
2 marketplace in 2021 and early 2022. Next slide,
3 please.

4 So for the next 30 minutes or so I will
5 be discussing CSL's experience, the root cause
6 investigations that were conducted, along with the
7 current working hypothesis from these
8 investigations. I'll finish off with our
9 learnings and next steps. Next slide, please.

10 To provide some historical context, CSL
11 has over 15 years of experience manufacturing our
12 current generation of immunoglobulin products with
13 over 3,000 lots of final product, resulting in an
14 estimated 1.4 million patient years of exposure.
15 However, in 2021, specific lots of CSL's
16 immunoglobulin products were associated with an
17 increased number of hypersensitivity reactions.
18 Not only was this the first time in our 15 years
19 of manufacturing experience but it was actually
20 the first time that we had any specific lot of our
21 current generation immunoglobulin products
22 associated with an increased number of

1 hypersensitivity reactions.

2 As a result, CSL took precautionary
3 action on 16 lots by voluntarily withdrawing eight
4 lots from the market which had shown an observed
5 increase of hypersensitivity reactions. In
6 addition, CSL preemptively withdrew three
7 additional lots from the market that had not yet
8 produced an observed signal and withheld an
9 additional five specific lots from release to the
10 market.

11 These preemptive measures were taken out
12 of an abundance of caution based on our initial
13 investigations of preliminary analysis, which will
14 be discussed later in this presentation. Next
15 slide, please.

16 This slide graphically demonstrates the
17 observed phenomena with these specific lots.
18 Shown here you can see a four-year depiction of
19 hypersensitivity cases reported by lot with our
20 subcutaneous immunoglobulin shown on top and the
21 intravenous immunoglobulin shown on the bottom.
22 Each lot is represented by a blue bar, or where no

1 HSR cases were reported, the absence of a blue
2 bar.

3 On average the number of cases of
4 hypersensitivity reactions reported per lot was
5 less than one. To provide perspective,
6 approximately 1,000 to 2,000 patients are treated
7 per lot, depending on the size of the lot and the
8 doses given to patients. The impacted lots,
9 represented in the red bars, are the lots which
10 were voluntarily withdrawn that showed a markedly
11 higher number of hypersensitivity cases versus
12 other typical lots. Next slide, please.

13 On this slide we describe the most
14 commonly reported adverse events from the
15 identified lots across both products, which
16 importantly are consistent with the known and
17 labeled hypersensitivity reactions that can occur
18 with use of these products. Also consistent with
19 labeling, most events were transient and reported
20 as resolved or recovered without complications
21 with or without treatment.

22 Most of the reactions were reported as

1 hypersensitivity skin reactions. In a few IVIG
2 cases shortness of breath or throat or face
3 swelling was reported. For the SCIG product, most
4 of the adverse events were localized around the
5 site of injection, whereas with the IVIG there was
6 also more general or diffuse adverse events.

7 The type and severity of these events
8 were consistent with the product label for IVIG
9 and SCIG. Nevertheless, as just discussed, it was
10 the total number of hypersensitivity events in the
11 specific impacted lots that was highly unusual.
12 Next slide.

13 This slide provides further details on
14 pharmacovigilance reporting. Reporters noted that
15 many patients have been using the same intravenous
16 or subcutaneous product for years with no issue,
17 and only reacted to the impacted lots.
18 Interestingly, we did receive reports on an IG
19 rechallenge for some patients who experienced
20 hypersensitivity reactions with the impacted lots.
21 There were greater than 30 cases of repeated
22 receipt of the same IG lot and an additional

1 greater than 10 cases of administration of a
2 different impacted IG lot, all leading to a
3 positive rechallenge and the patient experiencing
4 repeat hypersensitivity reactions. Importantly,
5 all of the patients were then further exposed to
6 non-impacted lots did not have hypersensitivity
7 reactions. Next slide, please.

8 Now I'd like to provide you an overview
9 of our root cause investigations for these
10 specific lots. Over the last year -- next slide,
11 please. Over the last year CSL has conducted a
12 very rigorous and comprehensive root cause
13 investigation.

14 Our current understanding from our
15 investigations is that the most probable root
16 cause is a single donor who produces what is
17 currently hypothesized to be a unique component of
18 their IgG repertoire. Now that's through the
19 FcεR1 pathways, although other pathways may also
20 be involved.

21 Importantly, our investigations have
22 ruled out root causes related to excipients, known

1 product characteristics, and other
2 process-specific causes. Next slide, please.

3 Our investigations took a very
4 structured approach and were grouped into three
5 main buckets; Manufacturing investigation, and
6 extended characterization of the final products of
7 the affected lots, and a hypersensitivity
8 hypothesis investigation. These investigations
9 were initiated and run in parallel with one
10 another. Next slide.

11 In the manufacturing investigation we
12 conducted an extensive overview which demonstrated
13 that the affected lots were not associated with
14 specific common [lots of] raw materials,
15 fractionation processes, manufacturing facilities,
16 or manufacturing sites. The manufacturing and
17 quality records also showed no difference in
18 attributes versus normal lots of immunoglobulin
19 and met all quality control testing and release
20 requirements. Next slide.

21 In the extended characterization
22 analysis we tested the effected lots, including

1 vials returned from the market, for a wide variety
2 of attributes and trace impurities. And again
3 found no difference versus reference lots. Next
4 slide, please.

5 Finally, in the hypothesis-driven
6 investigation we asked the question, what in these
7 specific lots but not in routine lots, could
8 trigger mast cell activation and degranulation
9 resulting in the observed hypersensitivity
10 reactions? Although there were more potential
11 causes that were investigated than depicted here,
12 the four main leads that were evaluated are shown
13 on this slide. Next slide, please.

14 The first three potential causes,
15 Polysorbate 80 degradation products, protein
16 aggregates, and anti-IG auto antibodies, were
17 thoroughly tested and showed no differences
18 compared to normal reference lots. This left the
19 potential contribution of something in plasma from
20 a donor or donors common to the affected lots that
21 caused hypersensitivity. This commonality
22 hypothesis was encouraged in part by separate

1 scientific discussions we had with the FDA and
2 with Grifols. We began our common donor analysis
3 very early in our investigation once four lots had
4 been associated with these elevated reactions.
5 Next slide, please.

6 Our common donor analysis was done by
7 identifying all donors who had contributed to the
8 affected lots and then assigning a score to each
9 and every donation that the donor had given over
10 the past two years. A donation was scored as
11 positive if it was associated with an affected lot
12 and was scored as negative if it was in an
13 unaffected lot. In addition, a temporal factor
14 was included in the donation scoring to ensure
15 that the lot that a donation went into was present
16 in the marketplace long enough to actually have
17 sufficient usage to show a clinical symptom.

18 This was an enormous digital-data
19 exercise. At four lots there were over 125,000
20 donors represented within the lots, having donated
21 a total of over six and a half million donations.
22 Of these 125,000 donors, there were 674 common

1 donors. And upon evaluating the scoring, no
2 actionable conclusions could be reached.

3 We continued the common donor analysis
4 with each subsequent withdrawn lot. And once we
5 hit eight IG lots associated with the increased
6 hypersensitivity reactions we still had 100 common
7 donors. However, at this point our common donor
8 screen analysis, combined with some temporal data,
9 showed that one donor stood out from all the
10 others. And this is digitally depicted on the
11 following slide. Next slide, please.

12 On this slide we show the percentage of
13 the donor's donations that were associated with
14 the affected HSR lots in red, and the percentage
15 of the donor's donations that were associated with
16 unaffected lots in gray. Each bar on the graph
17 depicts one of the top 15 scoring donors out of
18 the 100 common donors. Strikingly, you can see
19 that only one donor, the donor that we labeled
20 Donor Zed, had donations that were 100 percent
21 associated with affected lots. All other 99
22 donors had many many more donations that appeared

1 in unaffected lots than in the affected lots.

2 So it was with this data, under an
3 abundance of caution, the CSL took additional
4 voluntary market action and withdrew an additional
5 three lots from the market as well as withheld an
6 additional five other immunoglobulin lots for
7 distribution because they contained Donor Zed
8 plasma. Donor Z was also permanently deferred
9 from donating plasma for further manufacturing.

10 I want to point out that as a Canadian
11 American I am bilingual when it come to the letter
12 Z and therefore will be using Z and Zed
13 interchangeably throughout the remainder of the
14 presentation. Next slide, please.

15 This slide summarizes the percentage of
16 plasma that Donor Zed contributed to each of the
17 IG lots that he appeared in, in relation to the
18 total volume of plasma used within each lot. As
19 you can see, the percentage of Z was very low in
20 each lot, and varied from a high of.0063 percent
21 to a low of.0001 percent. Of the IG lots that
22 were associated with increased numbers of

1 hypersensitivity reactions, as little as .0016
2 percent of Donor Zed was sufficient to produce the
3 clinical signal, and this is highlighted in
4 yellow. It is important to note that the 11 lots
5 that had market distribution were all solely
6 distributed in the U.S. Next slide.

7 So what do we know about Donor Zed?
8 Well frankly we don't know a whole lot. He
9 resides in the northeast of the United States,
10 he's an above 60-year-old male, and gave 17
11 donations in two clusters of donations in 2020 and
12 2021. These donations were distributed amongst 16
13 immunoglobulin lots shown on the previous slide.
14 Of course Donor Z met all donor screen
15 requirements, and otherwise we know nothing else
16 about his medical history to date. I want to
17 reemphasize that CSL has permanently deferred this
18 donor from donating. Next slide.

19 One of our initial hypotheses was that
20 Donor Zed had an idiopathic monoclonal gammopathy,
21 and
22 was overproducing an atypical antibody

1 of high quantities that was causing this effect.
2 So we evaluated his plasma by electrophoresis.
3 Donor Zed's plasma is represented on the left
4 showing his electrophoretic profile with the
5 immunoglobulins represented by the gamma band
6 shown in orange. Comparing Donor Zed's control
7 plasmas, shown in the middle two panels, the
8 electrophoretic profile looks very typical.
9 Whereas for the monoclonal

10 gammopathy, you would have expected a
11 spike in the orange gamma region, as is shown in
12 the far right panel. Donor Zed does not have a
13 monoclonal gammopathy. Next slide.

14 We also evaluated some other basic
15 plasma characteristics of Donor Zed. Donor Zed's
16 measured values are shown as black dots, whereas
17 the reference range is shown in pink bars. Tests
18 for Donor Zed that fell outside of the reference
19 range are highlighted in yellow.

20 You can see that his albumin ratio is
21 slightly lower than the normal range, whereas his
22 beta globulins are slightly higher. All alpha

1 globulin levels were normal. When you look at his
2 free kappa and lambda light chains, shown in the
3 lower panel, they are well above the normal
4 ranges, but overall he has a normal kappa to
5 lambda ratio. At this point in time these are
6 observations, and we don't know specifically what
7 they mean or if and how they would contribute to
8 the observed hypersensitivity events. Next slide.

9 After identifying Donor Z as standing
10 out from the other common donors and taking
11 precautionary market action it was now absolutely
12 critical that we biochemically link Donor Z as the
13 root cause by demonstrating the ability of Donor
14 Zed's plasma to induce hypersensitivity reactions
15 in vitro. Next slide.

16 As we heard in the morning session,
17 immediate hypersensitivity reactions involve the
18 activation of immune cells like mast cells or
19 basophils, the degranulation results in the
20 clinical effects associated with these
21 hypersensitivity reactions. So to biochemically
22 investigate this we asked three questions. Can

1 Donor Z's plasma or Z containing IG product induce
2 mast cell deactivation and granulation? If so, if
3 we do see activation, what is causing it? Is it
4 the IgG, IgE, or some other trace plasma protein?
5 And what is the relevant molecular pathway of
6 activation? Next slide, please.

7 Our first studies looked at the ability
8 of Donor Zed's plasma and affected immunoglobulin
9 lots which we call in this presentation Z-IG to
10 induce basophil activation. Next slide, please.
11 Advance. Thank you.

12 In these initial studies we chose to
13 study basic degranulation using the cell surface
14 marker CD63 as basophils circulate in the blood
15 and they are far easier to isolate and study than
16 mast cells, yet both share common receptors and
17 pathways. These assays utilized basophils from
18 basophil donors. However, since the sensitivity
19 of the basophil can differ from donor to donor, we
20 made sure to look at a variety of basophil donors
21 in these studies.

22 The two bar graphs on the left show the

1 basophil activation results caused from donors
2 that, shown in the red box, versus a typical
3 control plasma. The large bar shown on the right
4 of each graph is the spike positive control that
5 shows the assay is working. The control plasma
6 samples showed no activation, whereas Donor Zed's
7 plasma showed significant activation at various
8 dilutions of plasma used in the assay.

9 Although not shown here, Donor Zed's
10 plasma, but not control plasma, produces
11 consistent activation albeit to varying degrees
12 across the different basophil donors. So these
13 data confirm that Donor Zed's plasma can activate
14 basophils, where control plasmas cannot. In an
15 attempt to further biochemically link Donor Zed to
16 the observed hypersensitivity reactions we looked
17 at the ability of Z-IG affected lots to induce
18 basophil activation. The results are shown in the
19 far right.

20 Again the bands on the right of each
21 graph show the spike positive control showing that
22 the assay is functional. Surprisingly, we didn't

1 see any activation in lots of IG that contained
2 Donor Zed's plasma. Our initial explanation for
3 these results was that the assay sensitivity was
4 not good enough due to the very high dilution of
5 Donor Zed within the final product. Therefore, to
6 investigate the activation potential of Z-IG lots
7 further, we modified the assay to increase its
8 sensitivity. Next slide, please.

9 To increase the sensitivity of the
10 assay, instead of monitoring the CD63
11 degranulation marker we monitored an activation
12 marker known to be a bit more sensitive called
13 CD203c. In this more sensitive assay we analyzed
14 final container lots of either effected Z-IG or
15 non-affected IG controls.

16 This slide shows the test results
17 conducted with two different basophil donors. On
18 the left-hand side we have a more sensitive
19 basophil donor, and on the right-hand side a less
20 sensitive one. For each basophil donor we show
21 two Z-IG lots, depicted in red shading, that
22 contain Donor Zed plasma at different proportions.

1 The highest percentage on the left and the lower
2 percentage in the middle.

3 The last slot on the right of each trio
4 of graphs is a control lot IG. Here again, the
5 highest bar on the right of each graph is the
6 spike control to show the assay is working. For
7 the more sensitive basophil donors, and this
8 example represented as Donor 1, we started to have
9 a low but reproduceable activation signal in some
10 Z-IG lots. Also notable was that the activation
11 signals were highest in the Z-IG lots with the
12 highest proportion of Donor Zed's plasma and
13 decreased as the proportion of Donor Zed in this
14 Z-IG product decreased.

15 We also again noticed a difference in
16 sensitivity and detection associated with the
17 basophil donor. For the less sensitive basophil
18 donors, here depicted as Donor 2, the signals were
19 weaker and more difficult to detect, both for Z-IG
20 and also for the positive control spike into the
21 Z-IG control IG lots.

22 Although the signals we observe are very

1 low, we believe there's a positive activation that
2 occurs and is best observed when using more
3 sensitive basophil donors and Z-IG lots containing
4 the highest proportion of Donor Zed's plasma.

5 Although not shown on this slide, we
6 also observed consistent low levels of basophil
7 activation for Zed plasma pools, a process
8 intermediate, which contained about a 1:5,000
9 dilution, or .02 percent of Donor Zed's plasma. So
10 now we have confirmed basophil activation by Zed
11 plasma, Zed plasma pools, and Z-IG final purified
12 product. Next slide.

13 To further advance our investigation we
14 used the more sensitive assay and basophil donor
15 and tested the eight Z-IG affected lots containing
16 various proportions of Donor Zed's plasma for
17 their ability to induce basophil activation. The
18 plot on this slide shows the percentage of
19 activated basophils on the y-axis versus the
20 percentage of Donor Zed's plasma present in the
21 Z-IG lots on the x-axis. As you can see there's a
22 linear positive correlation. Although the signals

1 are low and most are below what's considered to be
2 the positive threshold, we were encouraged by
3 these results and believed we were on the right
4 track. Therefore we decided to explore this
5 correlation using a different assay. Next slide.

6 Thanks to scientific collaboration with
7 Grifols, we were able to use the histamine release
8 assay that they had established within their
9 internal labs. This test still relies on
10 basophils but measures the histamine released upon
11 basophil activation degranulation.

12 In this graph we show the percent total
13 histamine released on the y-axis as a function of
14 the percent of Donor Zed in the eight IG lots.
15 With this more sensitive assay we observed
16 stronger signal in Donor Zed containing IG product
17 lots and a strong and positive correlation between
18 the percentage of Donor Zed's plasma present in
19 the Z-IG lots and the percentage of total
20 histamine released. Next slide, please.

21 Lastly, we wanted to confirm that Donor
22 Zed was the only common donor who elicited such a

1 positive linear dosage response to these assays.
2 Therefore similar to the previous slides, we
3 plotted the histamine release assay results for
4 each of the Z-IG lots against the percent of each
5 of the other common donors in the Z-IG lots. The
6 graph on the left shows the linear correlation of
7 Donor Zed shown on the last slide. On the right
8 are results for the 20 other common donors across
9 the lot that had had market exposure. Of the
10 common donors, only donors that showed a very high
11 R-squared value, a direct linear positive
12 correlation of percent of donor's plasma in the
13 affected lots, no linear correlation of the
14 histamine release results with the donor's percent
15 plasma was observed for the other common donors,
16 as shown by the scatter of points in the low
17 R-squared values.

18 We also conducted a similar evaluation
19 with the CD203 basophil activation test results.
20 And again, Donor Zed was the only donor that
21 showed this positive correlation. Next slide.

22 So in conclusion, the biochemical

1 investigation that we performed supports the
2 hypothesis that Donor Zed's plasma is the source
3 of the hypersensitive activity. We've shown that
4 Donor Zed's plasma, Zed plasma pools and Z-IG
5 final purified product activates basophils whereas
6 the corresponding control samples do not. We
7 identified Zed as the single common donor, his
8 plasma is present in the affected lots, and
9 exclusively elicits a positive linear donor
10 response in the basophil activation and the
11 histamine release tests. Although these assays
12 are investigational, time consuming, and the
13 signals were relatively low, the totality of the
14 biochemical results support the single donor
15 hypothesis. Next slide.

16 So we address the first of our three
17 questions of our investigations. Can Donor Z's
18 plasma or Zed containing IG product in use in mast
19 cell or basophil activation granulation. The
20 answer is yes.

21 So we set up to answer the second
22 question. What in Donor Zed's plasma is causing

1 this activation. Is it the IgG, IgE, or some
2 other trace plasma protein. Next slide.

3 To answer this question we performed an
4 elegant fractionation study. We used affinity
5 columns to prepare IgG enriched as well as IgG
6 depleted, and IgE depleted Zed plasma fractions.
7 We tested these fractions with the basophil
8 activation tests, and the results are shown in the
9 graphs on the right-hand side. The activation
10 results for Zed plasma are shown in yellow. And
11 as expected, we observed pretty strong activation
12 signals at different plasma dilutions.

13 The second fraction, shown in dark gray,
14 is the IgG enriched fraction. Notably the
15 basophil activation ability of the samples has
16 been preserved. This indicates that samples that
17 have IgG but where all other proteins were
18 removed, still show activity.

19 The third fraction, in red, is the IgE
20 depleted fraction. Here the activity is also
21 still present. So IgE is not the source of
22 activation in Zed's plasma.

1 The results for the fourth fraction are
2 interesting. What we see in the light gray box is
3 that when we deplete the immunoglobulin G from the
4 donor's plasma, we eliminate the ability to activate
5 the basophils. So this provides strong evidence
6 that the IgG fraction of Donor Zed's plasma is
7 responsible for causing basophil activation.
8 Specific removal of the IgG results in a loss of
9 ability of the plasma to activate basophils. Next
10 slide.

11 So these results answer our second
12 question. And this immunoglobulin G fraction of
13 Donor Zed's plasma that is responsible for
14 activating the cells. Perhaps not too surprising
15 then that it would end up in the purified
16 immunoglobulin products.

17 Finally, we sought to answer the third
18 question regarding biochemical pathway involved.
19 Next slide, please.

20 We identified four pathways that were
21 more probable for mast cell activation as
22 described in this morning's session. The first is

1 the MRGPRX2 pathway. This is a G protein coupled
2 receptor, and this receptor's often responsive to
3 small molecules. The second is the Fc gamma
4 receptor pathway. This is a receptor for IgG
5 antibodies and is specific for the Fc portion of
6 these antibodies that we heard about

7 this morning. The third pathway is the
8 C5a receptor

9 complement dependent pathway. And the
10 fourth pathway is the high affinity IgE receptor
11 pathway. We use different assay systems and
12 specific inhibitors to investigate these pathways.

13 I want to point out that our
14 investigations on these pathways are still
15 currently ongoing and additional work is needed to
16 solidify our datasets, understandings, and
17 conclusions. Next slide, please.

18 So our initial data suggests that the
19 first three pathways are not activated by Donor
20 Zed's plasma. However, in our innovation studies
21 we did get indications that the high affinity IgE
22 receptor pathway was involved. Next slide,

1 please.

2 In the FcRI inhibitor studies we used a
3 different assay system based on humanized Hoxb8
4 mast cells. We first conducted a study comparing
5 Donor Zed's plasma samples with control plasma in
6 the absence of any inhibitors. With this mast
7 cell assay we observed a low but reproducible
8 activation signal for Donor Zed's plasma that was
9 higher than for the control plasmas. Next slide,
10 please.

11 So we pursued investigation of
12 inhibition of the FcRI pathway using two
13 different approaches. In the first study we
14 performed a competition assay with increasing
15 concentrations of soluble FcRI alpha chain. As
16 shown in the bar graphs, we observed decreased
17 activation as the soluble alpha chain
18 concentration increased. We consider this to be
19 partial inhibition. We know the assay system is
20 working properly as we were able to achieve full
21 inhibition with an anti-FcRI receptor antibody
22 control at the same concentrations as the free

1 alpha chain inhibitor.

2 The fact that we do see partial
3 inhibition here suggests that Donor Z may be at
4 least partially activating through this pathway.
5 Next slide.

6 In the second approach we investigated
7 an internal signal component to this pathway
8 called BTK. By using a specific inhibitor
9 Ibrutinib. And what we observed here is a strong
10 inhibition. The combined results of these two
11 studies led us to conclude that Donor Zed acts at
12 least partially through this FcRI pathway,
13 although this story may be more complex than that.
14 Next slide, please.

15 So the preliminary answer to the final
16 question is that activation is at least occurring
17 through the high affinity IgE FcRI pathway.
18 However, it is important to note that other
19 pathways could also be activated that have yet to
20 be identified and more work in different assay
21 systems needs to be done to further elucidate the
22 underlying biochemical mechanisms. Next slide,

1 please.

2 So I'll finish up my talk by describing
3 our learnings and next steps. Next slide, please.

4 Through our lot-specific
5 hypersensitivity investigations -- next slide,
6 please -- we demonstrated that the root cause is
7 independent of process and not related to process
8 impurities. The product was normal by
9 characterization, and ultimately we identified the
10 most probable root cause, a single donor, Donor
11 Zed, whose plasma donations were 100 percent
12 associated with lots of IG that produced the
13 elevated hypersensitivity reactions. As a result,
14 Donor Zed has been permanently deferred.

15 We demonstrated that Donor Zed plasma,
16 Zed plasma pools, and Z-IG final product all
17 elicit basophil activation and activation is due
18 to a unique immunoglobulin G specificity of Donor
19 Zed that acts at least partially through the FcRI
20 pathway. Next slide, please.

21 There are a lot of challenges in the
22 work we're doing. Although we made great progress

1 over the last year, our reagent Donor Zed plasma
2 is really quite limited overall for conducting
3 investigations. We have to be very considerate in
4 choosing what additional investigative avenues to
5 pursue. It is important to recognize that this
6 was the first time in our 15 years of
7 manufacturing experience with our current
8 generation of IG products that we had experienced
9 an increased number of hypersensitivity reactions
10 with specific lots. Over this time there have
11 been millions of donors that have contributed
12 plasma to these products and now we've identified
13 a rare single donor with unique components of his
14 IgG repertoire that appears to be the root cause
15 of these set of circumstance.

16 Due to this extreme rarity, it may be
17 difficult to generalize the applicability of CSL's
18 findings from Donor Zed to the root causes of
19 other lot specific events experienced by other
20 manufacturers. All the assays that we used today
21 are highly investigational. We are the at the
22 very low end of sensitivity and assays lack

1 robustness as illustrated by data where we showed
2 you that the ability to produce the signal is
3 heavily dependent upon the basophil donor. As
4 such, these types of investigational assays fail
5 to meet the rigorous quality standards,
6 specificity, sensitivity, and robustness required
7 of GMP assays to ensure reliable testing of
8 products.

9 In order to identify and develop an
10 appropriate assay system that is fit for purpose
11 for GMP use we obviously need greater
12 understanding of the commonalities and generaliz-
13 ability of the root causes for similar
14 events experienced by other manufacturers.

15 This FDA workshop serves as an important
16 first step for knowledge sharing and addressing
17 some of these challenges. Next slide, please.

18 So CSL will continue our investigations
19 to confirm the activation pathway or pathways as
20 well as investigate the irregularities in donor Z
21 light chains and potential gammopathy. CSL has
22 dedicated breakthrough innovation teams that are

1 willing to partner with others who may have
2 technologies that may be useful in providing
3 solutions. Next slide, please.

4 As we move forward, the patient interest
5 remains at the core of CSL's commitment. We will
6 continue to partner with stakeholders, including
7 FDA, industry members, academics, and technology
8 innovators to further our investigations and
9 understandings with the underlying goal of
10 ensuring patient safety and preserving IG product
11 availability. Next slide, please.

12 So finally I want to acknowledge the key
13 people in collaborations behind this data and
14 presentation. First of all I want to acknowledge
15 my amazing Bioanalytic Team at CSL, specifically
16 Tino and Lorenzo, who have lived and breathed
17 Donor Zed investigation over the past year. And
18 the fabulous data-driven detective work by Peter
19 Vogel, along with our safety physicians Amgad and
20 Adrian, to find a needle in a haystack. Our
21 Grifols and Octapharma colleagues for their very
22 open scientific discussions and sharing their

1 experiences of these rare events.

2 An extra special thanks to the Grifols
3 Bioanalytic Team for their collaborations on the
4 histamine release tests on donor plasma in their
5 labs. Our network of partners, shown here from
6 the Mayo Clinic, Charite Thomas and the University
7 of Burnham. And finally a big thank you to Dr.
8 Dorothy Scott and her team from FDA for making
9 themselves available on multiple occasions for
10 very open scientific discussions and suggestions
11 that have helped propel our investigations
12 forward.

13 Thank you for your attention in
14 listening to CSL's experience.

15 DR. SCOTT: Thank you, Nathan. It's a
16 wonderful narrative of how this all progressed and
17 exciting findings that you have. But for the sake
18 of time I think we need to move on, we're a little
19 bit behind, to the Grifols Biotherapeutics
20 presentation, which will be split into two parts.
21 The first with Clark Zervos, the Vice President of
22 Quality, and the second by Peter Vandeberg, Dr.

1 Peter Vandenberg, the Director of the R&D Program.
2 So we thank you very much for presenting and look
3 forward to your talk.

4 DR. ZERVOS: Thank you, Dr. Scott. And
5 I would like to thank the FDA and the PPTA for the
6 opportunity to talk about Grifols' experience with
7 Gamunex and hypersensitivity.

8 I'm going to begin by presenting a
9 high-level overview of our investigation and
10 findings. And after that Pete Vandenberg will go
11 into a detailed discussion of the analytical
12 methods and results from the investigation. Next
13 slide, please.

14 Again, I'm going to talk specifically
15 about Gamunex and hypersensitivity events.
16 Gamunex is indicated for the conditions you have
17 seen here, which have been discussed previously.
18 Next slide.

19 So Gamunex was first launched in 2003,
20 it has a well-established safety profile.
21 However, beginning in 2018 we observed an elevated
22 rate of hypersensitivity adverse events for

1 specific lots of Gamunex. The types of adverse
2 events are listed here, they've been discussed
3 earlier in the workshop, so I won't go into detail
4 here. And as a precautionary measure Grifols
5 initiated a voluntary market withdrawal for
6 numerous lots of Gamunex, the total number was 23
7 over the course of the investigation. And then
8 subsequently as we've learned, some products from
9 other manufacturers were withdrawn for similar
10 events, and we began a collaboration to
11 investigate this. Next slide.

12 So this graph goes from 2015 to the very
13 end of 2022. My intent here is to show first in
14 the left portion of the graph the baseline before
15 we started seeing this issue with certain lots.
16 And you can see that the majority of lots have
17 either zero or maybe one or two adverse events.
18 There are a few exceptions in there, but a very
19 low baseline until we enter 2018 and we saw the
20 first lots with an elevated number of events. So
21 these were very definitive lots, and you can see
22 in between the lots with the high number of events

1 there were lots with again very near baseline.

2 So during this period between 2018 and
3 2021 we withdrew 23 batches from the market. And
4 then for the reasons I'll talk about later, since
5 about March of 2021 after we had taken some
6 actions based on our findings, we've really
7 returned to baseline here.

8 And to give you an overall perspective,
9 there are about 3,700 lots of Gamunex shown on the
10 x-axis in this graph. And 23 were withdrawn,
11 that's less than 1 percent, so just to get some
12 perspective of the number of batches. Next slide,
13 please.

14 So just a quick summary of our
15 manufacturing and quality investigation. So from
16 the very beginning we looked at detail at the lots
17 withdrawn, looking at all the raw materials, the
18 production process, in-process testing, final
19 container testing. So we're not only looking at,
20 you know, did the batches, all the steps meet
21 specifications, meet the specified ranges, we were
22 also carefully comparing to our comparator set of

1 batches just to see if there were any shifts or
2 trends that could give us a clue as to what was
3 happening with the specific batches. But we
4 weren't able to identify anything as part of that
5 review, and there were no significant
6 manufacturing deviations for the withdrawn lots.
7 Next slide.

8 So a quick look, we performed quality
9 control testing on all the finished product, so we
10 went back to look at all of that data. All of the
11 withdrawn lots met the product specifications.
12 And in addition we looked within those
13 specifications again against comparator lots to
14 see if there were any slight shifts or trends that
15 might give us an indication, but we were unable to
16 find that.

17 We also periodically do expanded
18 characterization testing for final container lots
19 so we have a large reference set of data. And we
20 did that testing on the withdrawn lots and again
21 were unable to see any difference between these
22 lots and our comparator lots. Next slide, please.

1 So beyond QC testing we performed a wide
2 range of testing as you can see here, both in vivo
3 and in vitro testing. This was done on the final
4 product. And again, we were comparing back to
5 comparator lots that were tested in parallel with
6 the withdrawn lots. Again, unable to see any
7 difference in signal that would point us in a
8 certain direction. And Pete will discuss a little
9 more in detail some of these methods later in his
10 presentation. Next slide, please.

11 Common donor analysis. So during the
12 investigation looking at common donors, we did
13 determine that lots that were withdrawn contained
14 some portion of plasma from a single donor center.
15 So this was something we obviously looked at right
16 away. And as a precautionary measure we put
17 plasma from that donor center on hold and
18 restricted its use from manufacturing. And since
19 we looked at a common donor center, or one donor
20 center, we wanted to make sure it wasn't some
21 center-level issue that might be a cause for these
22 reactions. So we looked at everything, all the

1 operations of that center, the commodities used,
2 their SOPs, but nothing came out of that that
3 indicated it was a center-level issue. Next
4 slide.

5 So common donor analysis, we performed
6 this after we withdrew more than one batch and
7 then it had to evolve after every additional batch
8 was withdrawn. And in this case you're looking at
9 thousands of donors that go into the manufacture
10 of each Gamunex lot and comparing that donor
11 population to the ones for subsequent lots. And
12 the challenge with that analysis is if you have
13 for example three lots withdrawn, there could be
14 thousands of common donors ranging from, you know,
15 hundreds to thousands. So that complicates the
16 analysis.

17 However we eventually were able to
18 identify 18 donors in common to all the withdrawn
19 lots. And those 18 donors did all donate at that
20 same plasma center that I mentioned earlier.
21 These donors at that point were deferred from
22 further plasma donation. And then we obtained

1 plasma samples from each of these 18 donors and
2 performed analytical testing. Next slide.

3 And we found one of the 18 common donors
4 produced the positive response in the basophil
5 activation test and the histamine release assay.
6 Pete is going to go into much more detail on this
7 in just a bit. Plasma from the other 17 donors
8 produced negative results by the basophil
9 activation test and histamine release.

10 And even though that indicated, you
11 know, we had one donor, really our first clue in
12 all of this, we didn't feel there was sufficient
13 evidence to unequivocally assign a cause to that
14 donor so we excluded all of these 18 common donors
15 from further manufacturing. Next slide.

16 So since that time we have resumed use
17 of plasma from that donor center that we have put
18 on hold. And that was of course excluding the 18
19 common donors. So this was about 50,000 liters of
20 plasma that was accumulated. And we took that and
21 manufactured several Gamunex lots during 2022 and
22 then those lots were released on a very

1 controlled, staggered basis and monitored closely
2 by our pharmacovigilance team. And no reports of
3 hypersensitivity have been received for any of
4 these lots. Next slide.

5 All right. And at this point I'm going
6 to hand it off to Pete Vandenberg.

7 MR. VANDEBERG: Great. So thank you.
8 Thank you, Clark. And I'd like to talk about our
9 in vitro methods now that we used for this
10 investigation. First to let you know that what
11 I'm going to talk about is just a really small
12 flavor of what we've done. We did quite a bit of
13 compositional analysis. We looked at aggregates,
14 we looked at some different functional methods as
15 well, to look for aggregates and did not see
16 anything different from normal in batches that
17 caused the hypersensitivity. Next slide, please.

18 So first off, a little discussion about
19 the 18 common donors. We looked at the
20 information available on these 18 donors, and each
21 had an extensive donation history. There were no
22 remarkable medications, nothing remarkable in

1 their medical history. We had given a
2 supplemental questionnaire to the donors at this
3 donor center, so we had some additional
4 information beyond the normal medical reports and
5 the donation information from them.

6 Some of them reported common allergies
7 but nothing remarkable. You know, nothing like
8 tick bites or likewise occupational, there was
9 nothing that stood out. They also had normal
10 serum protein electrophoretic profiles. We did
11 some diagnostic testing on the plasma of these 18
12 donors and several of them did have elevated IgE,
13 which is quite common. We tested for
14 anti-alpha-gal, an emerging allergen, and there
15 were no signs of that in these 18 donors. We also
16 looked at anti- FcRI and anti-IgE antibodies, and
17 nothing [was

18 different in these donors. So there was
19 nothing in these donor histories that stood out as
20 remarkable. Next slide, please.

21 So we wanted to look for in vitro
22 methods that would predict the hypersensitivity.

1 And since the reactions in the patients, the
2 hypersensitive reactions in the patients were
3 suggestive

4 of mast cell reactions.. There are many
5 different receptors on mast cells which can
6 activate them. So we did think about going to
7 some of the commercially available mast cell
8 lines, but we wanted to stay as close to human
9 cells as possible.

10 And so initially we did explore the use
11 of a skin- based system where we obtained skin
12 from elective surgeries and set up a system to
13 look at it that way. Unfortunately we did not see
14 any consistent histamine response with samples or
15 controls. So we discarded this system and moved
16 to what we thought was the next best thing, which
17 was basophils sourced from human blood. And so
18 most of the rest of my talk will focus on our work
19 with basophils. Next slide, please.

20 So we had two basic assays looking at
21 basophil activation. The first is the histamine
22 release assay where we used a commercial ELISA kit

1 to measure the release of histamine. Both of
2 these assays, the histamine release and basophil
3 activation test, essentially you take whole fresh
4 blood, you add your sample with any kind of buffer
5 dilutions that are required, you incubate and then
6 you measure the response. The histamine release,
7 again it's histamine with the basophil activation
8 test. We used a commercially available flow
9 cytometry kit to measure the expression of CD63 or
10 CD203c. Nathan from CSL mentioned that CD203c
11 seems to be a little bit more sensitive. I think
12 it's also a little bit more prone to background
13 activation.

14 It's important running these assays that
15 you have the proper controls, and so having a
16 buffer control where you see a low background
17 response. And then the two positive controls, you
18 would use either anti- FcRI alpha or anti-IgE to
19 detect the deactivation of the FcRI on our one
20 pathway, and we would use a peptide FMOP which
21 activates to the G protein coupled receptor
22 pathways.

1 We set up these assays and we tested our
2 Gamunex product that had shown signs of
3 hypersensitivity. We also tested plasma pools
4 that were used to manufacture those products. We
5 retained samples from the plasma pools. And we
6 did not see a response with any of the
7 hypersensitivity batches. Next slide, please.

8 So before I talk more about some of our
9 other results I'd just like to talk about the
10 challenges of these methods. These are research
11 methods that we used. And the first bullet there
12 is really the specificity. In our history we've
13 had hundreds of thousands of plasma donors, we've
14 made thousands of batches in our particular
15 collective history at CSL, and these events are
16 very rare. We're talking about what looks like a
17 very small set of donors. In two instances here
18 we were able to detect a response in the histamine
19 release assay on CSL's product but not on our
20 product that caused the hypersensitivity batches.

21 So really the specificity here is
22 unknown and there's not a good way to test it

1 because of the rarity of the events. It also
2 could be a sensitivity issue, and perhaps our
3 assays are just not sensitive enough to detect the
4 differences in the product that causes the
5 hypersensitivity.

6 There's also logistical issues of
7 performing these assays. You need to collect
8 fresh blood for the assays, there is variability
9 in that blood, the assays are fairly labor
10 intensive, the data analysis is cumbersome. And
11 there's also a lack of any kind of official
12 reference standard for calibration. So in short,
13 these are challenging assays, the predictive value
14 is uncertain, and they're not appropriate for use
15 beyond research applications. Next slide, please.

16 So I'd like to next jump into some of
17 the data from testing the plasma of the 18 donors.
18 And the bar graph there shows results using five
19 different reagent basophils, so that's blood from
20 five different healthy people, and the mix of the
21 histamine release or basophil activation test.
22 Way on the left side of the graph you see the

1 basophil response. You want that to be low, you
2 don't want your basophils to self- activate. Next
3 over is a set of bars for the positive controls,
4 the anti- FcRI alpha or anti-IgE, which has been
5 normalized. All the results here have been
6 normalized to 100 percent of that, and then [here
7 is]the fMLP peptide positive control.

8 And so for all of our 18 donors we saw a
9 response in these assays in only a single one and
10 that was Donor 18. And it was consistently, Donor
11 18 showed consistent activation of basophils and
12 none of the other donors did. So we chose to
13 characterize the Donor 18 plasma response a little
14 bit further. Next slide, please.

15 So one of the first things we did is
16 look at a dilution series of plasma from Donor 18.
17 And what this shows is the results of dilutions.
18 We did dilutions to 1:2,000 but really we can only
19 see the response out to 1:100. Now for us to be
20 able to detect this donor response in plasma, an
21 individual donor's typical diluted on the order of
22 1:1,000 to 1:10,000 in the final product. We

1 could not detect beyond 1:100. This is very
2 different than CSL, who could detect at least down
3 to 1:1,000 in their donor. And it could be a
4 matter of specificity. It could also be a matter
5 of just the activation in Donor 18 was not as
6 strong as

7 that from their Donor Zed or Donor Z,
8 sorry. Next slide, please.

9 We were very fortunate to be able to get
10 retain samples from the bleeds of Donor 18. So
11 the plasma donations Donor 18 made, we were able
12 to get some of the samples from those back
13 covering a span of about three and a half years.
14 And looking at the basophil activation response
15 over that time period you see several interesting
16 things. First of all back in 2017 plasma
17 collected from that donor did not cause basophil
18 activation. Then in April of 2018 you see a jump.
19 And this does coincide with plasma that went into
20 the first batches where we saw hypersensitivity
21 results in patients.

22 But then the interesting thing is that

1 the activation of basophils by that donor over
2 time is not consistent, it does go up and down. I
3 did look at this for some seasonal relationships
4 and there was no apparent time of year,
5 seasonality to that response. But nonetheless
6 interesting. Next slide, please.

7 If you replot the data, this graph here
8 shows the basophil activation response replotted
9 on the x-axis. And on the y-axis is the adverse
10 events of the batches that that donor went into
11 normalized by the quantity of plasma from that
12 donor in the batch. And you see something very
13 interesting. You see essentially a flatline until
14 you reach 70 percent CD203c positive. And, you
15 know, this looks like a threshold relationship
16 where when you reach a certain reactivity in Donor
17 18, you see hypersensitivity in the batches
18 produced by that donor. Next slide, please.

19 We also performed a fractionalization
20 experiment on the Donor 18 plasma. We used IgG
21 affinity resin specific for IgG so it should not
22 be retaining any IgA, D, E, or M, and if you look

1 closely at the reduced SDS-PAGE on the left you'll
2 be able to see the plasma lines versus the IgG
3 depleted versus the IgG. You can see the heavy
4 chain and the light chain. At any rate if you
5 look over on the right at the bar charts, you can
6 see the last three sets of bars that the basophil
7 activation we see in the plasma is essentially
8 identical in the IgG fraction and in IgG-depleted
9 fractions there is just very little response.
10 there. So there it looks like IgG is the agent of
11 interest that's causing the basophil activation.
12 Next slide, please.

13 We wanted to probe a little bit more
14 about the nature of the reactions, so we purified
15 basophils using a commercial kit to remove all the
16 other cell types, so this system would have been
17 just a purified basophil system. And what we see
18 from that on the bar chart at the very far right,
19 that Donor 18, whether it's whole blood or whether
20 it's the purified basophils, activates essentially
21 the same. This implies that it's a direct
22 activation of basophils and not acting through

1 some other cell types. Next slide, please.

2 And we wanted to look to see if we could
3 get some clues of the mechanism of that reaction
4 within basophils. And so we looked at the
5 addition of a PI3K-delta inhibitor. And that
6 inhibits downstream the basophil activation
7 initiated with the cross linking of FcRI alpha
8 units, and the signal cascade downstream can be
9 blocked. And what you see in the bar chart on the
10 very right side of that, you see a dose response
11 inhibition of that response. You do not see the
12 same inhibition with the FMLP positive control,
13 which activates an alternate G protein couple
14 receptors. But you also see the inhibition by the
15 anti-FcRI positive control. So this suggests
16 that Donor 18 is activating through FcRI pathway.
17 Next slide, please.

18 This slide summarizes all of the reagent
19 basophils we looked at with Donor 18 plasma. We
20 looked at a total of 28 different reagent
21 basophils, so that's basophils in whole blood from
22 healthy donors. And I'll start at the bottom

1 because this is kind of interesting. So some
2 donors you get fresh blood from and they just have
3 a low basophil count, you just can't use them in
4 the assay. Next up you see some that have enough
5 basophils, but they don't respond to FMLP or
6 anti-FcRI positive controls. So they're just not
7 activatable. Next up you see that there were two
8 reagent basophils that only responded to FMLP,
9 they did not respond to the anti-FcRI positive
10 controls. And interestingly enough, those two
11 donors, those two reagents, also did not respond
12 to Donor 18 plasma. However, the 18 fresh bloods
13 we used, 18 different donors that were activatable
14 by both FMLP and the anti-FcRI also showed
15 activation with Donor 18 plasma.

16 Now this is suggestive that Donor 18
17 activation, or it's consistent with Donor 18
18 activation through the FcRI pathway. But it also
19 suggests that the activation isn't through some
20 specific allergen but it's a very broad mechanism.
21 Next slide, please.

22 So we were interested in trying to find

1 out what the specific, what a specific auto IgG
2 autoantibody would be that would cause such an
3 activation. So we did testing by the HuProt
4 microarray with technology that was developed at
5 Johns Hopkins and available at a lab called CDI.
6 We submitted samples of plasma from these 18
7 donors. Initially we were looking at things that
8 had a high signal, we were looking for a high
9 response and something that was connected to mast
10 cells. And looking at all the 18 donors we didn't
11 see anything that had a large signal.

12 So next I looked at some of the specific
13 mast cells and looked down at some of the lower
14 signals, some of the specific mast cell receptors.
15 And I see something very interesting. We see with
16 one donor, and it happens to be Donor 18, there
17 was a signal showing for FcRI beta. Next slide,
18 please.

19 So some of our earlier speakers have
20 talked about the FcRI complex. The beta unit is
21 involved in the signaling transduction that
22 occurs. It is a membrane protein, only small

1 parts of it are exposed. And I do want to comment
2 that the kind of data you get from a microarray
3 screen is just really a screening test, and for us
4 to show that this is really the target it would be
5 nice to get some confirmatory response. We did
6 try some competition experiments and we were able
7 to get some commercial antibody of this against
8 this receptor to see if we could initiate the same
9 response, the same activation basophils. I would
10 say at this point our results have been
11 inconclusive. But this is certainly an area that
12 I think deserves a little bit more work, that it's
13 an opportunity for us to do some more work on.
14 Next slide, please.

15 So in conclusion, we've done a thorough
16 investigation, looked at lots of different things
17 like manufacturing and characterizing materials
18 and really traced these hypersensitivity events
19 back to 18 common donors. Plasma from one of
20 those donors, Donor 18, showed activation of
21 basophils when tested in a human basophil system.
22 The time course of that basophil reactivity does

1 match the timeframe of hypersensitivity adverse
2 events. We've isolated that plasma reactivity to
3 the IgG fraction. It occurs in basophils, it's
4 blocked with the PI3KA inhibitor. And then
5 finally by the HuProt autoantigen microarray we
6 have identified some binding to the FcRI beta.

7 Unfortunately, our in vitro assays did
8 not show any kind of elevated response in the
9 Gamunex hypersensitive product batches or pools,
10 and thus we have excluded all 18 donors from
11 further use in manufacturing. And since we did
12 that, the hypersensitivity adverse events returned
13 back to baseline. Next slide, please.

14 I would like to acknowledge the efforts
15 of all the different Grifols teams that went into
16 working on this. Our Biomat plasma collection
17 people, pharmacovigilance quality team, and
18 regulatory affairs. Especially I'd like to
19 acknowledge the bioanalytics team who did all of
20 a lot of characterization testing, but especially
21 the histamine release and the basophil activation
22 tests. And the collaboration of Dr. Scott and

1 her team at CBER.

2 I'd also like to acknowledge the advice
3 we got from a few outside experts, Steve Dreskin
4 from University of Colorado Medical School and Don
5 MacGlashan from Johns Hopkins. And I'd like to
6 thank you for your attention.

7 DR. SCOTT: Thank you very much Dr.
8 Vandenberg, that was quite informative and an
9 interesting contrast in some ways to the CSL
10 investigations. Or rather I should say a
11 different approach and somewhat different kinds of
12 donors maybe for all we know. Anyway, for the
13 sake of time I think we need to move on to the
14 Octapharma investigation. And thank you both for
15 those very excellent talks that you just gave.

16 So for Octapharma we have three
17 speakers. I would ask you to maybe speak a little
18 faster if you can so we can get partially back to
19 schedule because I don't want to have to take time
20 out of the break. But I think in fairness we
21 probably will do that so that you have adequate
22 time to give your presentations.

1 So we have Juergen Roemisch, Ph.D.,
2 Senior Vice President of R&D Plasma; Balazs, and I
3 apologize if I'm not saying that correctly, Toth,
4 Dr. Toth, MD, Ph.D., head of Corporate Drug Safety
5 for Octapharma, and Josef Weinberger, also Ph.D.,
6 Corporate Quality and Compliance Officer. So
7 thank the three of you in advance, and let's get
8 started.

9 DR. ROEMISCH: We are on?

10 DR. SCOTT: Yes.

11 DR. ROEMISCH: Okay. Thank you very
12 much, Dr. Scott. So good afternoon, good
13 evening. My name is Juergen Roemisch, I'm heading
14 the Pre-Clinical R&D Plasma for Octapharma. And
15 thank you very much for the opportunity to present
16 at this workshop for FDA. The next slide, please.

17 So we split this talk in three parts.
18 The first one will be Octapharma elevated rate of
19 hypersensitivity cases that will be done by my
20 colleague Balazs Toth. And the donation center's
21 single donations and risk mitigation by Josef
22 Weinberger, and biochemical and root causes

1 analysis by myself. So please follow us. And the
2 next slide, please.

3 DR. TOTH: Thank you, Juergen. Dr.
4 Ross, colleagues, I would like to give you a short
5 review of Octapharma's part, what we have observed
6 beginning of last year 2022 in connection with
7 certain Octagam batches.

8 As you have heard before, we could
9 establish quite early on that the observed events
10 were all hypersensitivity related. And
11 hypersensitivity as an entity is labeled for
12 Octagam, both in the company core safety
13 information and the U.S. prescribing information
14 as well.

15 The reactions which we observed in
16 connection with these batches were mostly
17 non-serious, skin-related hypersensitivity type
18 events. There was only one product affected,
19 Octagam 10 percent. We tried to also establish
20 some kind of geographical spread of the events.
21 These cases were received mostly from the U.S. and
22 certain parts from Canada and certain European

1 countries as well. Next slide, please.

2 We identified, and actually eventually
3 we had to withdraw four batches, Octagam batches,
4 with this elevated number of hypersensitivity
5 cases. All these happened within a short period
6 of time, end of January, middle, end of February,
7 2022. We identified three batches and withdrew
8 three batches from the U.S. market and one batch
9 from Norway, the Norwegian market. The numbers
10 you can see in the table present the situation at
11 the time of the regional decision that were made
12 by Octapharma. As you can see that we had a
13 number of cases, between 10 and 4 in connection
14 with individual batches. All the cases which we
15 received in connection with these batches were
16 hypersensitivity type batches.

17 We also identified two additional
18 batches on the European market with a similar
19 picture. However, those batches were already
20 distributed and in the later stage of their life
21 cycle, say it this way. And in agreement with the
22 local responsible authorities these batches were

1 not withdrawn from the market. However the
2 batches were involved in the further
3 investigations. Next slide, please.

4 We also wanted to be sure whether there
5 are other reactions, hypersensitivity reactions,
6 were observed in certain groups of patient
7 subjects. However we could not identify any
8 particular characteristics of the patient group,
9 nothing we got in demographics, geographic
10 locations, treatment indications, dosages or any
11 other respects. As with the cases we have seen
12 with CSL and Grifols' presentation, (inaudible).
13 Our batch documentation review could not identify
14 any particular batches where the production would
15 have affected the quality of the observed batches.
16 And we also could not identify any changes such as
17 new manufacturing raw materials or
18 chemicals that were correlated with the
19 hypersensitivity batches. Thank you, and Josef will
20 take it over.

21 DR. WEINBERGER: Thank you, Balazs.

22 Next slide, please. Can we have the next slide,

1 please. Thank you. No, one back. Yeah.

2 As already mentioned by Balazs, within
3 one month we had these four lots with clusters of
4 hypersensitivity reactions, identified
5 in the US (3) and Norway (1). Part of these
6 batches were delivered to Canada but not
7 distributed there. So on one hand the
8 production investigation, we think the batches are
9 as normal as batches could be. In parallel we
10 immediately started the investigation on the
11 plasma sources.

12 We could very fast clarify that all the
13 plasma was U.S. Source Plasma. And we also found
14 immediately that there is no over-representation
15 of any one donation center. So it was nicely
16 spread over a lot of centers. And also we found
17 quite fast 133 donors in common with the four
18 withdrawn batches. And there's always a different
19 number of donations of such donors in a batch
20 between one and 10. Twenty-four donors of the 133
21 US
22 donors contributed to the two

1 hypersensitivity batches in Europe, In parallel
2 the first step was to
3 defer all those 133 donors from
4 manufacturing medicinal products and removed all
5 the available donations from those 133 donors from
6 our
7 [plasma] stock. So that was the first
8 investigation.

9 And the next step we tried to find more
10 information based on donors, as already mentioned,
11 related to including possible tick bites
12 (resulting in
13 anti-gal antibodies. We also verified
14 the gender, the ethnicity, the age, allergies,
15 medications, and
16 underlying diseases of these donors.
17 And also this comes in a little more
18 detailed on the next slide, how many
19 donations contributed to hypersensitivity batches
20 and how many of these donors did not contribute to
21 such batches but were used in standard Octagam
22 batches. And we found in no case any striking

1 result. We could not see that all those donors
2 have certain

3 allergies or whatever else. Next slide,
4 please.

5 So as said, we immediately deferred and
6 we decided based on initial information from CSL
7 at that time, that we would also perform a ranking
8 of those donors based on their contribution to
9 hypersensitivity batches, contribution to
10 withdrawn batches. And based on that ranking to
11 start additional biochemical investigations of
12 those donors. You see below in the right lower
13 corner the ranking of the highest risk considered
14 donors. Only the very first one contributed more
15 than 50 percent of his donations to relevant
16 batches. The next one was already at lower than
17 50 percent, and then we were almost at 80 percent
18 in non-hypersensitivity batches. So it is just a
19 few donors who were indicated to be responsible
20 for that. Unfortunately we could not get from all
21 our donors retention samples when new donations.
22 We tried to call them to invite them for new

1 donations but unfortunately in many cases we
2 failed. Next slide, please.

3 And in parallel we had of course
4 discussions with on one hand the FDA in the United
5 States, on the other hand with the German Paul
6 Ehrlich Institute, which is more or less the CBER
7 of Germany. And from a later discussion we got
8 the hint tick bites may lead to anti-gal antibody
9 development which can cause hypersensitivity types
10 of

11 events. And with that I would like to
12 hand over

13 to Juergen for the R&D part.

14 DR. ROEMISCH: Thank you, Josef, yes.
15 The next slide, please.

16 So as you have heard extensively in the
17 talks before and also in the first session, there
18 are many factors that can activate cells or could
19 be capable of sensitizing cells. We picked here a
20 number of cytokines or anaphylatoxins like C3a and
21 so on for relative activity to compare the
22 hypersensitivity associated batches with control

1 batches which with no report at all.

2 To make it very short here and for the
3 sake of time, I won't go into the detail here.
4 You don't see any difference between the
5 associated batches and the control batches. They
6 were either not detectable or on a comparable
7 level. So the next slide, please.

8 Also the one assay that shows complement
9 activation. You see it asserts a pair or a couple
10 of columns from the left-hand side, you see
11 [exposure of

12 whole blood] to heat aggregated
13 immunoglobulins which have been referred to in the
14 talks report and talks before. This is our our
15 positive control, they were heated for two hours
16 at 60 degrees, and they nicely activating
17 complement here. We show the activated

18 complement protein C4a because it gives
19 more reliable results and after 30 minutes of
20 incubation.

21 [see slide 10] So the left-hand side are
22 controls,

1 sodium chloride or maltose controls, and
2 the right-hand side in the green rectangle are
3 control

4 Octagam batches. But no reports in the
5 red rectangle of the associated batches, and
6 tested with 10 different healthy donors. And you
7 see already that there was a kind of a variability
8 from donor to donor which comes not as a surprise
9 but the overall there is not really a trend
10 between the affected batches and the control
11 batches. But in this relatively sensitive assay we
12 have done a number of times could not refer also
13 to an aggregated IgG or so as a reason for it
14 [sic]. Next slide, please.

15 Referring to the anti-Alpha-Gal IgG
16 levels, we compared again the four associated
17 batches with the green control batches with ELISA,
18 and you see there is no significant difference in
19 turns of these levels, and they are not higher in
20 the control batches so we could also exclude this
21 possibility. Next slide, please.

22 So then I have to acknowledge here the

1 cooperation also with CSL and Grifols and the
2 exchange of knowledge and learning in
3 investigating the root causes that encouraged us
4 also to look into the basophil activation and to
5 get them tested at Octapharma in the mast cell
6 activation assay.

7 So we used the Octagam 10 percent
8 batches, control batches, as a control, the
9 maltose, the excipient solution and also selected
10 plasma samples from the group of deferred plasma
11 and as a control also our Octaplas LG as a
12 reference for a number of food plasma and SG
13 treatment test done. Next slide, please.

14 So without going into details here, just
15 a summary of what we found. So referring to the
16 activating anti-FcRI receptor we found that all
17 IgG

18 concentrates investigated showed weak
19 anti-FcRI receptor 1A activity exclusively at the
20 highest tested concentration. Already the next
21 dilution showed levels below limit of
22 quantification. So we don't know whether this

1 holds really true, but as we had earlier heard,
2 that a normal population has around, I don't know,
3 1 or 2 percent of these kind of antibodies
4 circulating, so it does not come as a surprise but
5 they would just be then above the threshold of
6 measurement, but at really low level.

7 And none of the tested plasma samples
8 showed such kind of an activity. While we have to
9 say, as was said before, that a number or a couple
10 of samples were not available at this time of
11 testing, which may represent risks to patients of
12 that and it could be that a couple of them were
13 also had come into the pool for production.

14 The other assay activating anti-IgE
15 antibodies revealed nothing at all. So we didn't
16 see any batches that showed any anti-IgE activity.
17 One of the selected plasma samples of those we
18 tested showed a detectible anti-IgE activity,
19 which was low with only 4 percent of the mast
20 cells activated, still it was above the limit of
21 quantification. But the conclusion here was that
22 the dilution of such sample in such a huge pool of

1 donations comprising IVIG would not be of
2 physiological

3 relevance if you refer just for this
4 samples and the group of others we cannot state at
5 the moment. Next slide, please.

6 Just a brief short look not only at this
7 current investigations but, I mean now and then
8 this occurs, we all know. And we have experienced
9 now also for Octagam investigations for I would
10 say 15 years at all in summary of them and having
11 tested them. And we have done a lot of tests when
12 these kind of events occurred. Many of you have
13 seen, I don't have to repeat the first one, but we
14 also did testing in fresh whole blood samples
15 exposed to these kind of positive controls and
16 here we have those associated with
17 hypersensitivity reactions, always in comparison
18 with control batches without those reports.

19 And we tested a lot of parameters in
20 them. Namely the expression of these things
21 compounds like interleukins, like we used PCR to
22 follow the FC receptor expression of that facts

1 analysis enzyme. And we never ever found a
2 correlation between the hypersensitivity reaction
3 and any reactions we have seen in these more
4 complex blood systems.

5 Of course we also have to, and has been
6 mentioned before, it is difficult, more difficult
7 because we have to use fresh blood from healthy
8 donors. So these cells have to be brought into a
9 condition where sensitivity is increased. So we
10 did priming of cells like with fMLP or with very
11 low levels of anaphylatoxin. And just to get them
12 primed but below the threshold of real activation,
13 just to make them more sensitive. But we didn't
14 find any positive reactions that would have helped
15 us.

16 And it is always a question here, and as
17 I will come to in a minute, what is the correct
18 priming of a cell for hypersensitivity. I mean
19 that has to be discussed also seriously if we talk
20 about cell models and talk about animal models.
21 And also we considered a microarray based systems
22 where we look for specific antibodies

1 specificities and titers. If we knew or suspected
2 them in our donors or donated in a distinct
3 region, like food specialties or plants and so on,
4 but we never found a really good correlation to
5 that. Next slide, please.

6 Last not least, I come to animal models.
7 I mean I have not mentioned them and we have not
8 performed them because we did them many years and
9 we also used here associated batches and have
10 never found a positive result in these animal
11 models. So you see, as mentioned before on the
12 talks and the scientific talks, we used an
13 intracutaneous mode; and a lymph node
14 assay and to look for sensitization. In the
15 bronchospastic animal model we never saw a
16 positive reaction versus control.

17 So in conclusion, unfortunately none of
18 these assays revealed results that help us to
19 explain these episodes and these hypersensitive
20 associated reactions and therefore for ethical
21 reasons, also animal healthcare, we did not
22 proceed in doing these experiments because we

1 never found a correlation with them. And the next
2 slide, please.

3 So finally we did not really find the
4 biochemical reason. but of course also the hint
5 and the kind of positive reactions if we looked
6 into the basophil activation assays - at least in
7 one plasma sample which could be the reason. In
8 the end I have to stress again, and it has been
9 discussed excellently before, I mean we have only
10 the key but we don't have the lock. I mean what
11 we see is that we have batches that have mediated
12 some hypersensitivity reactions, but a lock,
13 meaning the patients, we don't know. So any
14 predisposition we can just assume. And if you
15 don't have such [patient] samples it will be hard
16 to understand what the real reason is. And is
17 there only a general reason? And are there more
18 substances or predispositions that have to be
19 there, or even a group of these? So we make a
20 conclusion, but it has to be discussed seriously
21 how to set up such an assay which would help us to
22 learn more about the association with

1 hypersensitivity. And always taking into account
2 individuals. The next slide, please.

3 So in summary, and on behalf of the
4 three companies having presented here, and as a
5 group of manufacturers we want to reiterate our
6 shared goals to ensure patient safety and preserve
7 the immunoglobulin product availability. Again,
8 this is important because immunoglobulin products
9 are used to treat a variety of conditions,
10 including for patients who have immunodeficiency
11 and

12 those who live with autoimmune diseases.

13 And as reviewed, hypersensitivity events
14 are listed as common reactions for all
15 immunoglobulin products. And today you have seen
16 information from each manufacturer related to the
17 recently identified events, which are rare,
18 sporadic, and lot specific. We are all committed
19 to work collaboratively to continue investigations
20 in order to identify the root cause of these
21 observations, share scientific knowledge, and find
22 effective and efficient solutions applicable

1 across all manufacturers to minimize future
2 occurrences, maintain patients' access, and ensure
3 patient safety.

4 Thank you very much for your attention.

5 MS. NORTON: I believe I can start
6 asking some questions now, Dot?

7 DR. SCOTT: Yes, thank you. And thank
8 you to Octapharma for your presentation. You
9 didn't have that many lots to work with and you
10 were able to isolate still, you know, relatively
11 low number of donors to be concerned about. So
12 that was very good.

13 Okay, Margaret.

14 MS. NORTON: Okay. I'll start with some
15 more common questions that I see a lot of. First
16 one is are we going to get copies of the
17 presentations or is there an email, can we provide
18 an email for them to be sent or --

19 DR. SCOTT: Well after the workshop
20 we're going to confirm with our presenters whether
21 or not they would like to share their slides. And
22 in my experience all or nearly all presenters are

1 willing to do that. And then we consolidate the
2 slides and then we post those slides, or sometimes
3 the Plasma Protein Therapeutic Association posts
4 the slides. But at any rate we can let the
5 audience and the presenters know when that will be
6 online, and where.

7 MS. WARREN-HENDERSON: Dot.

8 DR. SCOTT: Yes.

9 MS. WARREN-HENDERSON: The recording of
10 the workshop itself will include the slide
11 presentations, and that will be available on our
12 website shortly after the event. But the
13 recording includes the slide presentations.

14 DR. SCOTT: Excellent.

15 MS. NORTON: The other common question
16 is for Grifols and CSL. Could it be possible that
17 Donor 18 is the same as Donor Z?

18 DR. ROTH: Maybe I'll take that
19 question. So what we do know is that these donors
20 have very different geographical centers that they
21 donated to. And I think the biochemical markers
22 between these two donors are very different. They

1 have a very different biochemical signature to
2 them, so we don't believe that they are the same
3 donor, no.

4 MS. NORTON: Okay.

5 DR. VANDEBERG: And we agree I think,
6 right?

7 MS. NORTON: Okay, thank you.

8 DR. ROTH: Yes.

9 MS. NORTON: Also were the donors added
10 to the PPTA Donor Deferral Registry?

11 DR. ROTH: I don't know whether, who
12 wants to answer that question. You know, I can
13 say that the donor, the National Donor Deferral
14 Registry has very specific criteria that has to be
15 met in order to add the donor's name to it. And
16 unfortunately these criteria don't meet them. So
17 we are actively working on a solution with other
18 manufacturers in PPTA on how this donor
19 information can be shared in the future.

20 MS. NORTON: This is also related, how
21 can we ensure Patient Z does not affect other
22 products, or the other patient as well? Would it

1 be possible that he went to donate to another
2 company?

3 DR. ROTH: What I can do is I can
4 provide some reassurance at this point in time
5 that the donation center that Donor Zed donated
6 into is fairly geographically isolated from other
7 donor centers at this time. It doesn't mean that
8 Donor Zed hasn't moved, but that's reassurance at
9 this time.

10 MS. NORTON: Okay. Thank you.

11 MR. ZERVOS: From Grifols, this is
12 Clark. We have indication that the donor, at
13 least the Donor 18 in our case, had discontinued
14 donating altogether. That's not a complete
15 assurance and, you know, we still feel there has
16 to be some kind of, maybe something similar to the
17 NDDR if not that, to ensure this moving forward.
18 Thanks.

19 DR. SCOTT: I just wanted to add one
20 thing to the previous question. I presume that
21 you have access to the donor birthdates and the
22 donor ages and other epidemiological information

1 that really confirms these two donors, the Grifols
2 donor and the CSL donor, are different people; is
3 that correct?

4 DR. ROTH: So we do have that
5 information obviously. I'm just not actually sure
6 we've actually at this point in time shared that
7 information.

8 DR. SCOTT: Okay. Can I share it with
9 you?

10 DR. ROTH: We would like that, yes.

11 DR. SCOTT: I believe they are different
12 donors.

13 DR. VANDEBERG: I believe we shared
14 enough, Nathan, that we know it's different
15 donors.

16 DR. ROTH: On this cross testing they
17 have very different biochemical signatures to
18 them.

19 DR. NORTON: Okay. The next questions
20 will be mainly for CSL. So actually this also
21 part of my question as well. Your purification
22 method for IgG, my question would be, would it

1 include the free light chains as well, would those
2 co-purify?

3 DR. ROTH: So we've discussed this
4 internally, it's highly unlikely light chains will
5 end up in final product. We haven't actually
6 tested that specifically yet, but the
7 manufacturing process is such that it would be
8 unlikely.

9 MS. NORTON: And the other question is,
10 would your depletion of IgE allow IgG complexes?

11 DR. ROTH: Yeah. So again, very likely
12 that the column captures specifically of IgG or of
13 IgE would unlikely remove the IG, would very
14 likely not capture the IgG complexes, yes.

15 MS. NORTON: Okay. The next question
16 is, did Donor Z have a history of COVID-19? If
17 so, had Donor Z donated COVID-19 convalescent
18 plasma and any info on that infusion?

19 DR. ROTH: As I had mentioned in the
20 presentation that we don't know a lot about Donor
21 Z's history, medical history. His first donations
22 occurred sometime in June of 2020. But we don't

1 know anything about his COVID history at that
2 time.

3 MS. NORTON: Okay. Thank you. The next
4 question is, Dr. Roth, congratulations to you and
5 the CSF Grifols team on this intricate study.
6 Would you expect lower incidents of adverse events
7 in IVIG manufactured from recovered plasma which
8 has a lower proportion of repeat donation in each
9 batch than product from Source Plasma which can
10 contain multiple donations from the same donor in
11 a single IVIG lot?

12 DR. ROTH: So I think the question's
13 really asking is the, would you expect the
14 incidents from source donations be tested larger
15 donations than recovered donations. Would you
16 expect more hypersensitivity events from that. I
17 think the answer's quite complex to answer from
18 that because not necessarily due to the pooling
19 and then the splitting of intermediates that
20 induct into a final product. It's not really just
21 dependent upon whether or not it's recovered
22 plasma or source plasma. And a donor may not be

1 represented multiple times in a lot.

2 So what I can say is donors that in some
3 of the lots that caused hypersensitivity, these
4 elevated hypersensitivity signals, he donated or
5 was represented by about a half of a donation,
6 which is equivalent to what a recovered donor
7 would have given. So maybe not.

8 MS. NORTON: Okay. Thank you. The next
9 one is, thank you very much, Nathan, for this
10 interesting talk. Please, how long did the
11 investigation take?

12 DR. ROTH: So we have two aspects of the
13 investigation here. So one was the investigation
14 which was the data driven investigation where we
15 went from four lots to eight lots. And at that
16 point in time that only took about two and a half
17 weeks to do that, going from four lots to eight
18 lots and identifying that individual donor as a
19 root cause.

20 And then the biochemical investigation
21 has been going on for just a little bit more than
22 one year. So about one year's worth of

1 biochemical investigation to link Donor Zed
2 specifically to the manufacturing, to the plasma
3 pool entry as well as the final container lots.

4 MS. NORTON: Okay. Thank you. The next
5 question is to the FDA. The question of HSR,
6 could this be just due to a better
7 pharmacovigilance reporting post-COVID?

8 DR. ALIMCHANDANI: Hi, this is Meghna.
9 So, you know, I think one thing to keep in mind is
10 that we've seen these spikes and hypersensitive
11 reactions pre-COVID as well. As you saw from the
12 data for the Grifols lots, there were eight lots
13 withdrawn that had happened in 2018 and '19 that
14 is all, you know, pre-COVID. So I am not sure
15 that, you know, COVID has given due increased
16 pharmacovigilance and therefore we have seen lot
17 withdrawals. I don't think that's the case.

18 MS. NORTON: Okay. Thank you. The next
19 questions I believe are for Grifols. So the first
20 one is, the other 17 donors confirmed also
21 contribute or not known to the hypersensitivity?
22 I guess this is it confirmed whether they

1 contributed to hypersensitivity.

2 DR. VANDEBERG: So all 18 donors were
3 common in the hypersensitivity batches, but they
4 had no other markers for, the other 17 did not
5 have any markers for hypersensitivity.

6 DR. SCOTT: This is Dot. I just have a
7 question about the assays. Have you or Grifols
8 considered pre-activating your human basophils
9 with IL-3 or something else to find out whether or
10 not that increases your sensitivity? That might
11 also be done if there were mast cell lines
12 perhaps?

13 DR. VANDEBERG: Yeah, we have tried some
14 things along those lines. The problem with that
15 is you also amplify your background, right.

16 DR. SCOTT: Yeah.

17 DR. VANDEBERG: And make them more
18 difficult to work with. And so we have not seen
19 any kind of consistent responses.

20 DR. SCOTT: Okay.

21 MR. MacGLASHAN: This is Don MacGlashan,
22 I can also support Peter's comment. The problem

1 is you're testing with plasma, sometimes very high
2 concentration of plasma or serum. And our
3 experience is that when you use enhancing agents
4 you make things really noisy. And in fact if you
5 do an IL-3 overnight with basophils, every plasma
6 we've ever tested, doesn't matter what its
7 intrinsic ability to induce release, they all
8 cause release. So for whatever reason IL-3 would
9 be off the table.

10 The one that I have suggested to others
11 is Deuterium oxide, which is a really very good
12 way of enhancing any kind of response from the
13 basophil. Like IL-3, it has, there are issues
14 that come with that, which is greater
15 responsivity. However, I don't know, I've never
16 tested it in the context of plasma. We just know
17 that plasma itself, even in fairly reasonable
18 dilutions, will cause an overnight IL-3 treated
19 basophil to respond, and we don't know the reasons
20 for that. We do know that it's not IG needed. We
21 know if you put in inhibitors of the IG or IgE
22 signaling pathway that does not block that

1 response. So whatever it is, it's just something
2 else that's driving the cell response. So that
3 gets to be obviously an issue. So.

4 DR. SCOTT: Okay. Thanks. That's
5 entirely plausible. We've seen that in other
6 situations.

7 MS. NORTON: Okay. The next question
8 is, Dr. Vandenberg, congratulations also to your
9 team. Do your data suggest that Donor 18
10 experienced some type of event around 2018 which
11 changed the composition of their donation? If so,
12 would further investigation of the donor's history
13 be informative to root cause analysis and/or
14 advise donor deferral rules?

15 DR. VANDEBERG: Yeah, looking at the
16 time course data it really does look like that
17 donor had some event that then caused the
18 appearance of an autoantibody. And then maybe was
19 re-exposed to something or re-boosted over time.
20 We reached out to try to reach this donor for more
21 information but have been unable to get in contact
22 with him.

1 MS. NORTON: Thank you. The next
2 question is, do IVIG provide passive immunity
3 against COVID-19, especially when the plasma donor
4 receives the COVID vaccine? Was there a
5 correlation identified with the hypersensitivity
6 reaction?

7 DR. ROTH: So I can answer this question
8 maybe more generally. You know we've continued to
9 manufacture the IVIG products through the course
10 of the pandemic as well as creating a hyperimmune.
11 So early on in our investigation one of the very
12 first things we asked was well was this COVID
13 related. And we did do a COVID-related
14 investigation. At the time I couldn't talk about
15 it today. But we found absolutely no correlation
16 at all for these lots versus regular lots versus
17 our COVID hyperimmune lots. So we think it's
18 highly unlikely.

19 DR. VANDEBERG: And I'll just comment
20 from Grifols that, you know, our events with our
21 Donor 18 started, pre- dated COVID, and I believe
22 most of it also pre-dated the vaccine. So there

1 does not seem to be a relationship there.

2 DR. SCOTT: Yeah.

3 DR. VANDEBERG: As for passive immunity,
4 I think, you know, the good thing for our immune
5 deficient patients is you do get antibodies from
6 people who are convalesced and have been
7 vaccinated. That's part of the general population
8 and that's one of the benefits of our IVIG
9 products.

10 MS. NORTON: Thank you. Have cells from
11 patients having an adverse reaction been used in
12 any assays?

13 DR. ROTH: So this actually raises a
14 really good question. So far all of our efforts
15 have been related to looking at Donor Zed and what
16 was his unique contribution. But we also
17 recognize that it's really only a subset of
18 patients who are actually reacting to that. So as
19 we move forward in our investigation this is one
20 of the avenues that CSL is quite interested in
21 exploring and trying to understand that aspect.
22 What is it about the patients that cause only a

1 subset of patients to react to these specific
2 lots.

3 As such we're looking at potential
4 clinical trial moving forward, but we're in the
5 early phase of this evaluation. If we do
6 something it needs to be meaningful, have some
7 sort of question result that we would be able to
8 answer on it, like if we have some hypothesis that
9 we're starting to put together. And of course
10 we'll need to work with the FDA to initiate this
11 if that's the next step we proceed to.

12 MS. NORTON: Thank you. To all the
13 presenters, great work, thank you. What did you
14 tell the donors as the reason for deferral?

15 DR. ROTH: Well again I can tell you
16 from our experience we didn't actually have to
17 tell the donor anything because early on, once we
18 identified him, we had him come back in a couple
19 of times for donations, which were not slated for
20 use in manufacturing. That was in order to
21 biochemically link him to what we had observed,
22 and he hasn't returned since. So now that we have

1 him in our system as permanently deferred, we
2 haven't had that conversation with him at this
3 point in time.

4 DR. WEINBERGER: Maybe I can also add
5 some comment on that. As said in our presentation
6 we have deferred those donors for manufacture of
7 medicine and products.

8 DR. NORTON: Thank you. To Dr. Roth,
9 the donor contributing to HSR was greater than
10 sixty years old, at which age did he start to
11 donate?

12 DR. ROTH: At around that same range -
13 he only donated within the period of one year, so
14 he was a relatively new donor to our system. He
15 entered in, donated those 17 or 18 donations, I
16 can't remember what it was, over that short amount
17 of timeframe, and then has since departed. So, 60
18 years.

19 MS. NORTON: This is one is, I
20 apologize, I joined your call late, so if this was
21 covered already please ignore. Have the plasma
22 fractionators traced this issue to certain

1 collection devices or certain lots of collection
2 device disposal kits? So I guess no.

3 MR. ZERVOS: So in the case of Grifols,
4 no, we did look that as part of identifying
5 initially the one donor center that happened that
6 all these donors were at. But no, there was no
7 connection to any of the consumables used in
8 collection of the plasma. So not for us anyway.

9 DR. WEINBERGER: I can only add for the
10 Octapharma site we had more or less the same
11 experience as said right now. But several years
12 ago we investigated all those disposables and
13 could also not find any relation.

14 MS. NORTON: Thank you. Do you have
15 information about allergenic blood donations
16 performed by either of the identified at-risk
17 donors?

18 MR. ZERVOS: I can. So for this current
19 investigation we've been discussing we do not.
20 However, if you recall I think on Dr. Scott's
21 introductory slides there was some, a product
22 called IVIGnex which is distributed exclusively in

1 Canada, and this was probably 2014. In that case
2 there was a donor plasma suspected to be the cause
3 of that issue on a much smaller scale than what
4 we're talking about now.

5 But one way that was identified was that
6 this was recovered plasma and so the other blood
7 components that came off of those units by that
8 donor, such as platelets and fresh frozen plasma,
9 did cause rather significant allergic type events
10 in those recipients. So there was a definite
11 correlation in that investigation.

12 MS. NORTON: Thank you. The next
13 question is, should donors be tested for
14 gammopathy and/or autoantibodies?

15 DR. VANDEBERG: So I'll take a start at
16 that. And just to say that all donors get an
17 electrophoretic profile of their serum to show
18 that it has normal profile. A gammopathy would be
19 identified there. As for autoantibodies, that's a
20 very broad area. Autoantibodies are ubiquitous,
21 everyone has autoantibodies in them and there
22 might be some specific autoantibodies identified

1 that may be relevant to this, but just a in
2 general, you know, we all have autoantibodies so
3 it's not a general class that could be tested for.

4 MS. NORTON: Okay. The next question, I
5 believe it was answered, but again, has Donor Z
6 was lost for further investigation?

7 DR. ROTH: Donor Z has been lost from
8 further donations. He has not come in for
9 additional donations. We are exploring, I think
10 as Grifols mentioned, we are also looking at
11 potentially putting together a donor questionnaire
12 and going out and seeing if we can find Donor Z at
13 this time. It may be a difficult or challenge to
14 do but we would like to see whether we could find
15 him, explain to him the situation, and then see
16 whether he'd be willing to answer questions about
17 his medical history that could help further our
18 investigation.

19 MS. NORTON: Okay. The next question
20 is, are there any high throughput testing options
21 that may be implemented by the companies that
22 could possibly indicate individual plasma donor

1 samples that may promote hypersensitivity
2 reactions if patients were to receive an IVIG
3 batch manufactured using their donation prior to
4 pooling the plasma donations? Would there be any
5 high throughput testing?

6 DR. ROTH: So I think that's part of the
7 goal of the workshop ultimately. Currently
8 there's nothing that we're aware of. I mean if
9 there was, and then the other big question is, you
10 know, what is it that we would be testing for? We
11 still need more understanding of the commonalities
12 between the Donor 18 and a Donor Zed where we
13 could make sure that whatever tests that we
14 developed would be meaningful or suitable as a GMP
15 assay, and as Dorothy, Dr. Scott has mentioned
16 earlier, that it would be predictive as well. So
17 we're not there at this point in time.

18 MS. NORTON: Thank you. What steps is
19 Octapharma taking to ensure a root cause is
20 identified? What types of investigations are
21 still in progress?

22 DR. WEINBERGER: We are still trying to

1 contact the missing donors of the blood pool of
2 133. But this is a voluntary effort to convince
3 those donors to provide plasma from them. And
4 then it would go, based on this ranking, into
5 further testing on basophil cells.

6 MS. NORTON: Thank you.

7 DR. ROEMISCH: That's the only hint we
8 have at the moment. I mean as I summarized, I
9 mean there is a hint that one donor would have
10 elevated levels but the dilution would be so high
11 that the only donor would not really be the reason
12 for what we have seen. Maybe there are others who
13 contribute as well, and the sum of all of this
14 would make it more probable that the reason there
15 is also this anti-FcRI receptor. But this is
16 still ongoing, and we don't know, it's just
17 speculative at this point.

18 MS. NORTON: Thank you. Does Grade 1
19 infusion related reaction, chills, mild fever,
20 mild headache, etcetera, require rate reduction
21 right away or would you wait until symptoms
22 progress to Grade 2 as defined by CTCAE?

1 DR. SCOTT: That sounds like a clinical
2 question obviously, and up to individual
3 physicians. I mean if it were me I would slow it
4 down. But I'm not a major treater with
5 immunoglobulins. I've done it during my residency
6 and fellowship, but I don't have the experience
7 that some of the audience and some on the panel
8 have with infusing immunoglobulins. So I mean we
9 should really ask Dr. Finkelman and Dr.
10 Cunningham-Rundles, among others, to see what they
11 do.

12 DR. FINKELMAN: Well since I'm a
13 rheumatologist I'm going to punt to Dr.
14 Cunningham-Rundles and Dr. MacGlashan.

15 DR. MacGLASHAN: I cannot speak to that
16 since I also do not administer IVIG or those
17 products. I do have someone in my group that is
18 an adult immunodeficiency expert and uses it as a
19 tool for lots of his patients, but he's not here
20 so I can't ask him.

21 DR. SCOTT: Is Dr. Cunningham-Rundles on
22 the line?

1 DR. CUNNINGHAM-RUNDLES: Here, I just
2 had to unmute. So to repeat that question, what
3 do you do clinically when you have a reaction
4 basically?

5 MS. NORTON: Yes. So does Grade 1
6 infusion rate related reactions require rate
7 reduction right away or would you wait until
8 symptoms progress to Grade 2?

9 DR. CUNNINGHAM-RUNDLES: The answer's
10 very obvious, you're going to slow down the moment
11 anything happens, otherwise you have a very
12 nervous patient on your hands.

13 DR. SCOTT: A nervous physician maybe
14 too.

15 DR. CUNNINGHAM-RUNDLES: Well you've got
16 both ongoing and you don't want it to get any
17 worse because if you can stop it then why would
18 you wait around.

19 MS. NORTON: Thank you. Next question
20 is, are donors who participate in clinical trials
21 allowed to donate plasma. Example, initial
22 clinical stages. If yes, are there any

1 restrictions such as type of exposure of specific
2 class of investigational drug?

3 DR. WEINBERGER: Maybe I can only answer
4 I'm not aware that this is a reason to defer a
5 potential donor as long as he or she is healthy
6 and complies with all the requirements.

7 MS. NORTON: There are a couple more
8 questions actually about donor screening. Thank
9 you all three companies for sharing your valuable
10 investigation results. Have you used any of your
11 learning from your investigation to revise your
12 donor screening process?

13 DR. ROTH: So at this time we haven't
14 revised anything in our donor screening process
15 because there's nothing yet we know about Donor
16 Zed which makes him stand out from our regular
17 donors. So at this point there's no pragmatic or
18 practical way to add additional screening
19 measures.

20 MR. ZERVOS: Yeah, it's the same for
21 Grifols. Certainly we did, as part of the
22 investigation, a lot of additional donor

1 questionnaires at that one center and then a
2 closer look, we don't have anything tangible to
3 add to screening questions or donor screening at
4 this point.

5 DR. SCOTT: I'm not sure we know enough
6 to know what questions or question to ask.

7 MS. NORTON: Another related question
8 is, do you do drug testing screening for donors
9 before donations?

10 DR. SCOTT: Drug tests?

11 MS. NORTON: Yes, drug tests or
12 screening. Or I guess drug screening.

13 DR. ROTH: So the questionnaire would
14 specifically ask about drug usage for our donors.

15 DR. SCOTT: I think we're going to have
16 to stop there and that puts us back at time for
17 our short break. And thank you, these are
18 extremely informative and fascinating
19 presentations from all three of you. And it makes
20 one feel that moving forward you are moving
21 forward at a steady pace. And I think beyond that
22 it shows what can be done when manufacturers weigh

1 in and investigate problems, it can be very
2 fruitful. And I know it's a lot of work, but it
3 is also I'm sure going to be helpful in the long
4 run, and it already is, you know. At least there
5 are ways to exclude suspect donors after
6 collecting a relatively small number of lots for
7 any given cluster. And so that's useful I think
8 for everybody to see.

9 And then of course the investigations
10 are something we will discuss at our next
11 discussion period after the break. Get down to
12 brass tacks and see what we can think of
13 pragmatically and brainstorm a bit on where to go
14 next.

15 So thank you very much, and I'll see you
16 all in half an hour.

17 (Recess)

18 DR. SCOTT: I think we just about have a
19 quorum. One moment, I'm getting a little
20 feedback. All right, we're assembled here with
21 our speakers and some extra experts as well. We
22 have three questions to discuss. There may be

1 other important questions, which I suggest people
2 can propose either in the chatroom or if you are a
3 speaker, pose them while you have a chance to
4 speak. So, this is where we're going to talk
5 about some of the main challenges that we have and
6 where to go in the future.

7 So, first of all, the first question is,
8 what are the likely biological mechanisms or
9 mechanism for lot-specific clusters of immediate
10 hypersensitivity reactions caused by specific lots
11 of immune globulin? And I think, you know, we've
12 heard a lot this afternoon about the very
13 plausible etiology based on the research data that
14 we have so far and that's been reported at this
15 workshop so far. So, I would like to know
16 people's opinions of that and where to go next.
17 So, I'll call on Fred. Dr. Finkelman.

18 DR. FINKELMAN: Thank you, Scott, Dot.
19 Thank you. Thank you, Dr. Scott. So, these
20 presentations by the three companies were really
21 very revealing. And I think they all go towards
22 the idea that there's an IgG antibody against a

1 determinant that most likely is involved with
2 FcRI signaling. So, either on basophils, or mast
3 cells, or both.

4 The surprising thing is that it doesn't
5 seem to be an antibody to FcRI alpha chain, which
6 is the chain that's most exposed and which is the
7 chain that binds IgE. And the data from Grifols
8 suggests the possibility that maybe it's the beta
9 chain of the FcRI that's involved. I understand
10 that it's going out on a limb to say that. But
11 it's an interesting possibility at least in part
12 because the beta chain is the most polymorphic of
13 the chains of the high affinity IgE receptor
14 FcRI.

15 So, if it were the beta chain, that
16 would have some very interesting implications.
17 First, because it's polymorphic and as far as we
18 know, neither Donor 18 nor Donor Z has had
19 allergic symptoms that would be associated with an
20 antibody to their own FcRI. Maybe they were
21 somehow alloimmunized like a blood transfusion,
22 for example, and made an antibody against this.

1 And it only recognizes the beta chains of the
2 right polymorphic variant sufficiently --

3 DR. SCOTT: Yeah.

4 DR. FINKELMAN: -- to cause a clinical
5 reaction at the dilution that's present in IVIG.
6 If that was the case, then it would really be
7 useful, as I think one of the questioners already
8 suggested, to be able to test their serum against
9 basophils from the recipients that developed the
10 severe reactions to see if there's a -- if you
11 dilute the serum more and still get some evidence
12 of basophil activation or degranulation in those
13 cases.

14 I know also that there's not necessarily
15 reason to believe that there's only one mechanism
16 involved.

17 DR. SCOTT: Yeah.

18 DR. FINKELMAN: It could well be that
19 you could have antibodies against several
20 different determinants that could be involved in
21 activation of mast cells, or basophils, or
22 macrophages. Or even through some other mechanism

1 that we can't readily think about. But this seems
2 to be the strongest lead that we have. And I
3 can't thank the speakers from the three companies
4 enough for the wonderful and difficult
5 investigations that they've done that point us in
6 this direction.

7 DR. SCOTT: Thank you, Fred. I think
8 that the alpha chain might also be a potential
9 target. We found a paper from 2005 that suggests
10 there's an epitope on the alpha chain at least
11 that is capable of having this kind of activity.
12 And Ms. Eller might be able to find that reference
13 pretty quickly. And if she does, she can post it.

14 DR. FINKELMAN: Well, we've done a long
15 of work with injecting mice with antibodies
16 against the alpha chain --

17 DR. SCOTT: Mm-hmm.

18 DR. FINKELMAN: -- and it's brilliant at
19 inducing anaphylaxis. But my understanding was
20 that both Peter and Nathan had mentioned that the
21 titers against the alpha chain didn't seem to be
22 particularly strong or there was something else

1 that kind of deemphasized the alpha chain as the
2 --

3 mechanism. --

4 DR. MACGLASHAN: Can I -- this is Don.
5 Fred, I actually took that data a little
6 differently. You know, they used a soluble alpha
7 to inhibit and got some inhibition. But it's not
8 the full alpha. In other words, the piece that
9 goes into the insertion necessarily. I don't know
10 what the construct looked like. But there is a,
11 you know, a soluble alpha may not be the best way
12 to inhibit. It might be better just to pull it
13 out with an alpha column or something.

14 But I think there still is that short
15 section and there was 50 percent inhibition. So,
16 I think it's -- I think it may be that it's a
17 little of both for the two different donors. It
18 is really intriguing.

19 And I have to say I agree with Fred,
20 this is -- when I first stepped into this arena a
21 few years ago with Grifols, it was a complete
22 black box.

1 DR. MACGLASHAN: And my impression today
2 based on the data is you've got a pretty good
3 warning flag about the possibility of an antibody
4 of really high titer, high affinity antiFcRI
5 regardless of which subunit it may be. The data
6 just looks pretty strong.

7 And what also really strikes me is just
8 how unusual it is. You know, it's not that
9 frequent and it goes away with time in all cases.
10 So, that, you know, to have that happen is, having
11 studied a lot of auto -- the presence of auto
12 alpha and IgE in CSU [chronic spontaneous
13 urticaria]

14 patients, you know, the titer of this is
15 much, much better than we've ever seen. But there
16 are some really good auto alpha subunit antibodies
17 in chronic urticaria. Fred has asked a really
18 good question earlier whether it would be worth
19 asking any given donor whether they have ever been
20 diagnosed with chronic urticaria.

21 One of the things that really pops out
22 when you study a timeline of a given CSU patient's

1 serum for autoantibody functional activity, is
2 it's up and down and up and down. And it's just
3 really unclear what stabilizes its presence or
4 makes it go away. And it can happen in months.

5 So, it's not totally inconsistent with
6 something like this. It would be worth asking.
7 My guess if they had really significant chronic
8 spontaneous urticaria, they might not be popping
9 in to give a donation. I think Fred raises a
10 really good question to ask them just for
11 screening purposes.

12 The other thing that struck me is
13 besides the fact that it's still quite remarkable
14 that with the extent of dilution that you get with
15 all the plasmas being pooled that it could still
16 work is the question that sort of arose during the
17 Octagam discussion, which is that there's variable
18 inclusion of a given donor in a particular lot of
19 the IVIG. And it just sort of struck me at the
20 moment that it was said that it almost leads to a
21 potential way out if these things are relative
22 unicorns, don't happen very often, is that you

1 never include a given donor in a lot more than one
2 donation so that you can't get much, much higher
3 titers of whatever it is it got.

4 I have no clue how practical that
5 suggestion is. But it's certainly in the data
6 from CSL, you know, you got more or less activity
7 as a function of how much of that donor's plasma
8 represented the total immunoglobulin. So, it
9 certainly suggests that as a practical -- maybe
10 not so practical -- but as a thing that could be
11 done almost without doing anything else is just as
12 a policy kind of thing.

13 But anyway, I'm really struck by the
14 advancement. Real kudos to all the teams for
15 chasing from a black box state just five or six
16 years ago to something that to me was fairly
17 striking. So, yeah.

18 DR. FINKELMAN: That's great, Don. As
19 in vivoist, I have to mention that there are mice
20 with human FcRI alpha chain as Laurent mentioned.
21 And so, you can make mice extremely susceptible to
22 anaphylaxis by treating them with a drug and a

1 cytokine.

2 DR. MACGLASHAN: Right.

3 DR. FINKELMAN: So, it would be really
4 interesting to see whether a high dilution of the
5 suspect plasma would induce anaphylaxis in those
6 mice. Laurent, are there any mice that have the
7 human beta chain?

8 DR. REBER: Not that I know. No. What
9 I would suggest also is because all of the in
10 vitro assays that you use, the basophils and the
11 Hoxb8 mast cells, they all have a very high level
12 of the inhibitory FcRIIB. And this will lower
13 the sensitivity of your assay a lot because those
14 are all IgG?Z? (phonetic). So, I would suggest
15 that you use FcRII blockers. So, antibodies
16 against --

17 DR. REBER: -- try using antibodies
18 against FcRII before you test the serum because
19 that might really increase the sensitivity of the
20 degranulation.

21 DR. MACGLASHAN: Yeah, that's a good
22 idea. I know it works for some of the monoclonals

1 that if you -- that because of the nature of that
2 particular interaction, it is the case that you've
3 got sort of a captured species on the cell
4 membrane and any inhibitory receptor's going to
5 grab that very readily. And so, certain
6 monoclonals that we use for stimulating in vitro
7 are in better -- you get a better response by
8 including a blocker FcRIIB. So, I think it's a
9 great idea.

10 DR. REBER: And in human mast cells in
11 the skin there is no IIB expression. So, they
12 will respond much more --

13 DR. MACGLASHAN: Yeah.

14 DR. REBER: -- than what we see with
15 basophils, for example.

16 DR. SCOTT: Mm-hmm. Charlotte, did you
17 have a comment?

18 DR. CUNNINGHAM-RUNDLES: Well, I was
19 here wondering how much we're sure it's got
20 anything to do with the gamma globulin, the IgG
21 molecule and Fc receptor, and whether pepsin or
22 papain had been used. That would be -- or

1 anything like protein A just to say is it really
2 an IgG molecule that's the issue. And is it
3 binding to Fc receptors?

4 I mean, I put a comment in because we're
5 talking about immediate reactions which are the
6 most common. But we have patients who have
7 reactions in two days, extreme migraine, and other
8 things where they have to go to the emergency
9 room. And we haven't gotten into delayed
10 reactions whatsoever. I think we're not
11 collecting data on those to any extent either
12 partly because the patient has gone home. But
13 they are rather common as well.

14 DR. SCOTT: That could probably be the
15 subject of another workshop.

16 DR. CUNNINGHAM-RUNDLES: I think so. I
17 think it's much more elusive though. I mean, this
18 one at least --

19 DR. SCOTT: Right.

20 DR. CUNNINGHAM-RUNDLES: -- you have a
21 handle on it.

22 DR. SCOTT: Mm-hmm.

1 DR. CUNNINGHAM-RUNDLES: Yeah.

2 DR. SCOTT: Because of the work that's
3 been done recently.

4 DR. CUNNINGHAM-RUNDLES: We have really
5 much more ability to get the data and to see what
6 these amazing lots, you know, are capable of doing
7 and narrowing it down into who's contributed. You
8 know, we do have a lot more data to look at this
9 way. And I think it's really pretty stunning,
10 actually, the work that's been done.

11 DR. SCOTT: Additional comments from our
12 panelists on this work?

13 DR. ROTH: Maybe I'll just add in
14 another piece of data that, you know, we weren't
15 able to present all our data today due to time.
16 But we also did try the same human protein micro
17 array that Grifols had done. And unlike Grifols,
18 we did not get the same hits against the beta
19 chain.

20 DR. SCOTT: Okay.

21 DR. ROTH: So, just know that we have
22 that comparator.

1 DR. MACGLASHAN: I guess the question is
2 did you get hits on the alpha?

3 DR. ROTH: We didn't.

4 DR. MACGLASHAN: Okay.

5 DR. SCOTT: Okay. All right. Okay.

6 DR. MACGLASHAN: But I do have to ask,
7 you know, the preparation of the alpha subunit for
8 a chip or for a soluble inhibition assay is maybe
9 quite important because not all the constructs
10 have enough of the external peptides to represent
11 the whole thing, so.

12 DR. VANDEBERG: And I'll just comment.
13 You know, having the hit on the beta chain, you
14 know, that's something I'd like to go in and see
15 some confirmation, some specificity experiments
16 that confirm that. It's a strong lead certainly
17 but at this point, I think it's a lead.

18 But just getting back to the alpha
19 chain, we know that there are lots of people that
20 have antibodies to the alpha chain. And in our
21 testing, we looked at it in the product and do see
22 a low-level response. So, could it be specific

1 parts of the alpha chains, specific epitopes on
2 the alpha chain and we're just missing it because
3 we're looking at the whole thing?

4 DR. ROTH: Yeah, Pete, it's the same,
5 you know, with us. We know that binding to alpha
6 chain is not the same as eliciting activation, so.

7 DR. MACGLASHAN: Yeah, I mean, it's
8 worth noting that many years ago, a number of
9 monoclonal antibodies were made to the IgE alpha
10 [chain]

11 and, you know, they're not all
12 activating. And I think Ruben Zarganian
13 (phonetic) may have done a lot of this where, you
14 know, it just, it's critical what the
15 stereochemistry is. It depends on where the
16 epitope is and whether you can actually create a
17 crosslink. And then there's the strength of that
18 crosslink. And there are just a lot of other
19 variables that go into whether in a monoclonal
20 state or a polyclonal state, you can actually
21 properly crosslink the receptor. Let's put it
22 that way.

1 So, it doesn't serve to focus too
2 heavily on whether or not it binds. The best
3 assays are kind of the ones that have -- are
4 starting to be suggested. Fred's idea or
5 Laurent's idea of maybe using basophils with a
6 blocker. But when we did our recent chronic
7 spontaneous urticaria study, we already knew that
8 there was what Peter was saying. There are people
9 that have apparent ability to bind to alpha or E
10 for that matter and yet they don't seem
11 functional.

12 Admittedly, we have sensitivity issues
13 as well when we do those assays. But it is the
14 case that we decided we would look for only those
15 that clearly could be easily demonstrated to have
16 functionality. And, you know, they're quite
17 heterogeneous so, the functionality goes anywhere
18 from you need practically need serum to induce a
19 response, to I think probably the best we ever saw
20 was something like one to maybe 250 or something,
21 our best chronic urticaria patient.

22 So, a lot of heterogeneity there so, it

1 wouldn't surprise me that there is a certain
2 amount of heterogeneity. Then you do have the
3 donor side response as well. And that's a whole
4 other story as to the heterogeneity that exists.
5 So, even if it was as simple as an anti-alpha
6 antibody, the heterogeneity in both mast cells and
7 basophils to the number of crosslinks needed to
8 initiate a decent response is quite large as well.
9 And so, you may not even have to invoke too many
10 other recipient biologies to still considerable
11 heterogeneity in response to a pretty high titer
12 of a very high affinity antibody in some
13 particular lot.

14 Certainly, all the other factors that go
15 into whether a person responds and which organs
16 respond is a whole huge issue. So, but you don't
17 have to go too far to at least find a fair amount
18 of heterogeneity at the cells, the two cells
19 themselves that bear this receptor. So, anyway,
20 yeah, it's a -- there's a lot of possibility here
21 still without digging too deeply, so.

22 DR. SCOTT: That may lead into our next

1 question, as a matter of fact. What are possible
2 in vitro methods that could be used or should be
3 tried that would identify plasma units or product
4 lots that could cause excessive numbers of
5 immediate hypersensitivity reactions? So, now
6 we're talking about in vitro methods and what
7 would you wish to do, and I hope it doesn't
8 happen, but I think it's going to happen again
9 because we have these almost every year from one
10 product or another. What would you start out with
11 next time and what do you wish you had known this
12 time? But first, let's just talk about in vitro
13 methods that could be used or should be tried to
14 identify plasma units or product lots with this
15 problem, or plasma pools.

16 So, I think, obviously, we've talked
17 about mast cells. We don't have any data right
18 now on mast cell lines and whether those can be
19 manipulated to be particularly sensitive or more
20 sensitive than they are. But perhaps development
21 or testing of the various mast cell lines that
22 exist might be useful to have a more, well, easier

1 test that still is sensitive.

2 DR. MACGLASHAN: Well, this is Don. I
3 would weigh in on two. I think there is some room
4 to workout the basophil sensitivity issue. Just
5 grabbing donors at random is not necessarily the
6 best. Whether you could develop the basophil
7 assay into standardized -- an assay that was
8 sufficiently standardized that would satisfy the
9 regulatory agencies for, you know, a consistent
10 test, that still would have to be worked out.

11 But even just to know whether or not on
12 a research level you could crank up the
13 sensitivity of that. Because we know there are
14 ways. The plasma story makes things a little more
15 complex. But I like the notion of, you know,
16 blocking the FcR2 and I like -- we are in the
17 process of exploring how radically we can change
18 sensitivity with deuterium oxide, which is a
19 potential approach.

20 And then I like Fred's idea. I mean,
21 Fred, you've been able to kill mice really
22 effectively. You put the right drugs in with a

1 small, with a very small amount of stimulus. So,
2 it may be a pretty sensitive way for lots, which
3 is a purified product and probably relatively
4 easily administered for a reaction. So, I like
5 that idea too.

6 So, I think you could tweak that into
7 something to know its boundaries and how well it
8 could work. And I think you could tweak the
9 basophil assay perhaps into at least knowing
10 whether it ultimately would be useful at all. So,
11 that's my thoughts on it, so.

12 DR. FINKELMAN: Yeah, I'm thinking along
13 the same directions as Don. It might be nice to
14 have a screening test that's simpler than that
15 than either of those to start out with and then go
16 further with the more definitive tests that are
17 the more definitive functional tests.

18 When you think of a screening test,
19 you're thinking -- I think of an ELISA. And just
20 seeing does the lot or does a particular plasma
21 sample have a high titer of antibody to a
22 particular chain of FcRI or FcRII, or any of the

1 other suspect receptors. I don't know and I'd be
2 interested in hearing from Peter and Nathan what
3 they think about how sensitive you should want to
4 make that assay. Would you look with a low
5 dilution or a high dilution of plasma or a lot?

6 DR. ROTH: So, let's talk about the
7 different stages, areas where one could test,
8 right. So, there's the ability to test on a
9 plasma donation level or small pools of plasma
10 donations. This is what we do right now for viral
11 marker testing, you know, pools of about 100. You
12 can test at the plasma pool level, which is about
13 a dilution of 1:5,000 to 1:10,000. Or you can
14 test at the final product, which can go from
15 1:10,000 up to, you know, 1:50,000 or even more.

16 As you move out towards final product,
17 you have -- your assay has to be much more
18 sensitive, right? And we saw here that CSL has
19 been the only manufacturer that's been able to
20 biochemically link the final product to the
21 hypersensitivity index. The dilution's just so
22 high, right, on this. I think the same is true at

1 the plasma pool level. Even at a 1:5,000,
2 1:10,000 dilution, I think and I'd like the other
3 manufacturers to confirm that, I think we're the
4 only ones that with our donor we're able to get a
5 signal on the plasma pool that was positive.

6 So, that really puts you back and even
7 if you're testing at those levels, you're losing a
8 lot of other perfectly good donations in that
9 pool, which you wouldn't want to put at risk,
10 right? What we want to try to do is identify that
11 specific donor's donations. So, the big
12 preference would be to test at the donation level
13 and one could do that, you know, either individual
14 donations or up to, you know, pools of 100 or
15 something like this so that you had some level of
16 sensitivity.

17 But there we're looking at millions of
18 donations so, that test has to be highly specific,
19 highly sensitive, and open to automation. So,
20 it's quite a challenge, I mean, we know what the
21 user requirements would be for that test. And,
22 you know, you can see now we're going from primary

1 cell lines essentially to what could we develop or
2 what is out there or who is innovating in this
3 space that we could get something that we would be
4 able to then make into a test that would be -- fit
5 all the requirements for GMP testing. And that
6 would be suitable to identify these donors.

7 And then the last thing is, you know, is
8 our donor Z, does he have something common you
9 could test for versus Donor 18? And we're not
10 quite there yet even in that understanding. What
11 exactly is the molecular entity you would be
12 actually screening for or just looking for a
13 hypersensitivity or a test that indicates that you
14 can get an activation out of it, so.

15 And I ask Pete and Juergen if you guys
16 can just kick in for a moment on the pools and the
17 final product.

18 DR. VANDEBERG: I think what you said on
19 the, you know, the dilutions is pretty accurate.
20 Just to, you know, talk a little bit more about
21 that. You know, any such test has to be very
22 reliable whether you're testing pools, or product,

1 or back to donations, or pools of individual
2 donors. It's got to be very reliable. You've got
3 to understand for sure that it is testing what we
4 think it's testing.

5 And we're not there yet. I think we've
6 got some good ideas. Going back to the donor
7 level or I think even pool level, if we can
8 identify a specific epitope on FcRI alpha or beta
9 to look at, then perhaps we can come up with an
10 ELISA that works. But, oh, it's going to be so
11 hard to know that that is really addressing our
12 problem.

13 DR. ROEMISCH: Yeah, I can comment as
14 well. I mean, of course, a screening assay has to
15 be on a kind of an ELISA or it has to be done on a
16 PCR assay. It has to be a high throughput assay.
17 And until you are there to have high throughput
18 assays, you have to go through functional assays
19 and in vitro -- and cell cultures or animal
20 models, and so on, so that you are not at the end
21 of the learning curve.

22 And as I showed, I mean, for our

1 results, is that there is a hint that this could
2 be the same reason as presented by Nathan and
3 Pete. But it is not as clear at all whether this
4 is really the one. And I would like to say that
5 here chasing one wolf outside, maybe there are 20.
6 And so --

7 DR. SCOTT: Yeah.

8 DR. ROEMISCH: -- yeah, that's -- we
9 don't know. I mean, maybe as I said, maybe it's a
10 combination of two. Or there is a cofactor needed
11 to that.

12 So, I think before we start setting up
13 screening assays -- this is my opinion -- on one
14 distinct epitope, there has to be more information
15 about that. And it is also about, like Nathan
16 said, it is not about just R&D assays. I mean,
17 these assays have to be validated and they have to
18 be set up properly. And the failure rate has to
19 be extremely low. So, there's quite a way to go
20 until we have such an assay available for that.
21 But, of course, the results are encouraging and
22 more investigations are really needed for that.

1 DR. REBER: If I can add on the assay.
2 To me, it's clear that the basophil cell lines
3 would

4 know would be a way to go because there
5 will always be too much variability between
6 donors. The way to go is the cell line for sure.

7 And maybe also the readouts that you are
8 using because you are looking at degranulation,
9 but if I remember the first -- the paper that I
10 added in my presentation, the first one that shows
11 actually that anti-IgE purified from an IVIG
12 preparation could induce activation, they actually
13 focused on cytokine release. I think it was IL-8
14 release, which is much more sensitive because
15 sometimes you don't trigger degranulation but you
16 still trigger mediator release. So, maybe that's
17 also a way maybe to look for increased
18 sensitivity. And maybe not chase for
19 degranulation but chase for something that is
20 really is a more sensitive activation of the
21 receptor.

22 And if it's cytokine release, then we

1 will see per the analyzer you can really screen
2 then. The pure cell line analyzer to screen, it
3 becomes easier to standardize.

4 DR. SCOTT: Any other particular
5 comments on this question? It's very good, very
6 thoughtful. Then we have our third question. How
7 can we minimize the number of patients affected by
8 immediate hypersensitivity-inducing product lots?
9 So, if it happens again, is there a way that we
10 could have a shorter period of not knowing who or
11 what set of donors might be implicated? Is there
12 a speedier way to protect our patients than what's
13 already been done?

14 But I think the broader question is how
15 to minimize the affected number of patients. And
16 some of that has to do with pharmacovigilance
17 reporting. And I think, you know,
18 pharmacovigilance can't be very strong -- as
19 strong as we would like with our adverse event
20 reporting system when we're looking for this type
21 of event. Is Dr. Alimchandani still here?

22 DR. ALIMCHANDANI: Hi, Dot. Yeah, I

1 have to drop off soon though but I'm here for five
2 more minutes.

3 DR. SCOTT: Okay. Five more minutes --

4 DR. ALIMCHANDANI: Thanks.

5 DR. SCOTT: -- is good.

6 DR. ALIMCHANDANI: Sorry.

7 DR. SCOTT: What ways can you think of
8 to improve our reaction time to these lot-specific
9 hypersensitivity case clusters?

10 DR. ALIMCHANDANI: Yeah, so, I think one
11 of the things as you know that we've been working
12 with the sponsors is to come up with some kind of
13 threshold that would lead them at least to start
14 an investigation and also to notify FDA. And the
15 reason for this is because hypersensitivity is
16 labeled and a majority of these are nonserious
17 events. The companies don't have to submit them
18 to us as 15-day reports. When we do the enhanced
19 pharmacovigilance, we usually request it for a
20 period of
21 time. We don't keep doing it for the
22 lifetime, you know, of the product.

1 So, the only way I think that we can
2 avoid that lag time is if there is an internal,
3 you know, even when they are doing their internal
4 evaluations, you know, there are many factors that
5 go into a voluntary lot withdrawal for sure. Even
6 if it's not at that step, if they could notify the
7 FDA or and they would have to recalibrate the
8 threshold as more data becomes available because,
9 you know, the number of reports, the postmarketing
10 experience is always changing and evolving. And I
11 don't know if the sponsors have any comments or
12 any thoughts about that.

13 DR. TOTH: Yeah, we from Octapharma, we
14 already use, as you might have known, such a
15 formula to inform FDA on the alternatives
16 regarding such lots. And as you mentioned, we try
17 to always go back and rethink the formula, refine
18 it a little bit, and so far as you could see, we
19 informed FDA with quite low numbers. So, at an
20 early stage so we were quite transparent and we
21 would like to keep this way.

22 DR. ALIMCHANDANI: Yes, thank you.

1 DR. TOTH: Thank you, sure.

2 DR. ALIMCHANDANI: Yes.

3 DR. SCOTT: Another thing we've noticed
4 is that even after a product withdrawal, and in
5 spite of the fact that usually the distributors
6 are tasked with notifying the end users, the way
7 the system is set up either this doesn't happen or
8 it happens, but the end users miss it or don't pay
9 attention. And we see patients treated, you know,
10 I've seen them treated with a lot that's been
11 withdrawn up to several weeks after the
12 withdrawal. I see that as a problem.

13 And I don't think that we expect to
14 solve that kind of problem here. But I do wonder
15 if Dr. Cunningham-Rundles also has some ideas
16 about it, in general, how we could improve our
17 reaction time.

18 DR. CUNNINGHAM-RUNDLES: Oh, gosh, I
19 wish we could. I think that it's not entirely
20 easy about the recording or the reporting, which
21 is what you've already said. And so, if there is
22 one in Boston, how is anyone in New York going to

1 know about it? And I find that very daunting to
2 even wonder how that could happen.

3 So, first thing is the reporting seems
4 to me. If everyone had an easy to find website to
5 say this particular lot was one that was a
6 problem, but it does not exist.

7 DR. ALIMCHANDANI: I was going to try to
8 build on that. So, we on the communication
9 aspect, Dr. Cunningham, so, you know, we've seen
10 that it kind of varies from sponsor to sponsor how
11 they share their information about lot
12 withdrawals. I know for Grifols, they had all of
13 the lot withdrawal letters actually very easily
14 available for Gamunex on their website. But we
15 couldn't always, you know, find those letters sort
16 of publicly posted on the sponsor's website.

17 So, last year, we did a CBER safety
18 communication, which had a whole listing of, you
19 know, all the lot numbers, the expiration dates.
20 And I think that was, you know, one way to
21 consolidate the information and, you know, make it
22 available publicly. But I would encourage, you

1 know, sponsors, as well to, you know, post the
2 letters publicly or sort of have more
3 communication.

4 DR. CUNNINGHAM-RUNDLES: I think that's
5 too late, honestly. Because by the time a letter
6 goes out, those lots have already been used.

7 DR. ALIMCHANDANI: Well, I think, you
8 know, what we've seen is sometimes there are
9 still, you know, many vials that are not expired
10 and that are in circulation and the company is not
11 able to, you know, get back all of those vials.
12 So, this was, you know, our hope was that this was
13 a way to encourage awareness that this is a
14 withdrawn lot to not use it, you know, send it
15 back or, you know, dispose of it, what have you.

16 DR. CUNNINGHAM-RUNDLES: I think with
17 infusion centers such as ours though whenever
18 anything like that would happen, the lot is
19 already gone. And it's, you know, we get -- any
20 letter I've ever seen was always usually something
21 a month later. So, that's very good but a month
22 later is too long.

1 DR. ALIMCHANDANI: Yeah, I think that
2 goes back to having an earlier threshold and sort
3 of picking it up even when there are few cases but
4 you think there is an issue to take action as
5 expeditiously as possible I think.

6 DR. CUNNINGHAM-RUNDLES: Yeah, I mean,
7 I've looked at lots before when patients have told
8 me that they had a reaction so I've looked them
9 up. And it's, first of all, it's not easy to
10 find. And I don't necessarily guarantee that our
11 place has every time done it because the patient
12 begins to itch when they go home. Are they going
13 to call back the center and tell the center I had
14 a problem this time? Not necessarily.

15 You know, if it was bad as it was for
16 the case of, you know, Kara that we talked to this
17 morning, you know the center didn't think --

18 DR. ALIMCHANDANI: Yeah.

19 DR. CUNNINGHAM-RUNDLES: -- that it was
20 their job.

21 DR. ALIMCHANDANI: They didn't report,
22 yeah. Mm- hmm.

1 DR. CUNNINGHAM-RUNDLES: No, they said
2 it wasn't their job.

3 DR. ALIMCHANDANI: Mm-hmm.

4 DR. CUNNINGHAM-RUNDLES: She's being
5 taken care of by Mass General. I have to tell you
6 that.

7 DR. ALIMCHANDANI: Wow.

8 DR. CUNNINGHAM-RUNDLES: She's at a Mass
9 General Infusion Center, you know, in another
10 site, not at Mass General, but one they oversee.

11 DR. ALIMCHANDANI: Yeah, I don't have a
12 solution for underreporting that we see and
13 passive surveillance. You know, the MedWatch
14 numbers are in the package inserts. It's on the
15 FDA's website. And when we do post, I mean, again
16 that's sort of after the fact, but when we do post
17 information about the lot withdrawals, we also
18 include information there about reporting to the
19 FDA or the sponsor.

20 DR. SCOTT: Yes, just interestingly I
21 would say the majority of our reports are from
22 pharmacists. Would you agree or?

1 DR. ALIMCHANDANI: Yeah, yeah.

2 DR. SCOTT: Which is good. They seem to
3 have a better handle on what to do and maybe it's
4 part of their education in pharmacy school they do
5 report at least I would say the majority of the
6 data.

7 DR. ALIMCHANDANI: The majority, you are
8 absolutely right.

9 DR. SCOTT: Yeah, mm-hmm, mm-hmm.

10 DR. ALIMCHANDANI: Yeah.

11 DR. SCOTT: Okay. Now, I want to ask
12 the group, do you have any other questions you
13 think we should discuss today? Or big questions?

14 DR. FINKELMAN: I have a question I'd
15 like to ask Dr. Cunningham-Rundles. It was
16 mentioned that pretreatment, prophylactic
17 pretreatment with anti-H1 blocker and H2 blocker,
18 perhaps with Solu-Medrol has a protective effect
19 and decreases incidents of severe reactions. Do
20 you use this type of pretreatment? Do you think
21 it's justified or is the treatment worse than the
22 disease?

1 DR. CUNNINGHAM-RUNDLES: Well, you can
2 certainly use it. If the patient really needs the
3 gamma globulin, you're going to end up doing that
4 anyway. And you might do it for the first
5 infusions. But our practice here is to give the
6 first two or so infusions with half the dose that
7 we intend to use in the long haul because that
8 cuts down reactions hugely. So, that's the first
9 thing is you never give the patient a loading
10 dose, not ever, not under any circumstances in a
11 hypogammaglobulinemic patient.

12 And then over time, they become
13 accustomed to it. As Kara was saying, many, many
14 days go by and many, many infusions go by. Four
15 or five years go by, no reactions at all. And
16 then all of a sudden you get one of these things.
17 She's probably never going to go off the premed
18 because she'll be too nervous to do it and so will
19 the infusion center. But the great majority of
20 our patients, and we have about 150 who come here
21 for infusions, and another 100 or so at home, you
22 know, do not get premeds. And it's not necessary

1 for most patients.

2 The other point, which we never went
3 into at all is we're talking about this being
4 something that's due to the infused product. But
5 we published in JCI last year that in fact what
6 you have is DNA in the blood of the patients from
7 bacterial organisms from the GI tract. And IVIG
8 does bind to that material. So, our original work
9 a long, long time ago I admit, was looking at
10 immune complexes in blood of patients that are
11 getting gamma globulin. And most patients do
12 develop immune complexes in their blood after
13 getting gamma globulin. And one of the contents
14 is in fact this bacterial DNA.

15 And so, in a way you have a situation of
16 almost antigenemia. It's not a positive blood
17 culture you understand. It's the ribosomal DNA
18 that's coming from the GI tract through mucosal,
19 you know, barrier defect. Most of our patients
20 don't have any IgA so, that's part of their kind
21 of leaky gut I would say. And it's a real thing
22 and since IVIG binds to that, you'd expect a

1 certain amount of immune complexes to always
2 appear. So, it doesn't have to be against an FC
3 receptor of a particular point, it would just land
4 there anyway because it is an immune complex,
5 basically. And that's how it's going to ever be
6 eliminated. And we didn't get into that
7 particular side of things here.

8 DR. SCOTT: It's interesting. I would
9 assume that to be less the case in people who were
10 immunocompetent but receiving immune globulin for
11 autoimmune diseases.

12 DR. CUNNINGHAM-RUNDLES: Well, it's a
13 little harder to compare there though because for
14 the autoimmune diseases, the doses tend to be
15 quite a bit higher.

16 DR. SCOTT: Yes. Yes.

17 DR. CUNNINGHAM-RUNDLES: So, that's just
18 a little bit different.

19 DR. SCOTT: It is.

20 DR. CUNNINGHAM-RUNDLES: Yeah. Anyway,
21 to answer what Fred was saying, we normally don't
22 use premeds on patients who have been treated over

1 a long period of time. It's generally not
2 necessary. And in fact, I discourage it because
3 you have a patient who, you know, is perhaps a
4 little bit sedated and going home, starting their
5 car on, you know, getting, you know, home on the
6 highway and being sedated is just not a good idea.
7 In fact, there have been lawsuits about that. And
8 so, you know, you don't really want to sedate.

9 And in fact, the patient that talked
10 about it this morning, she's pretty immune
11 deficient. Do I love the idea of her getting
12 Solu-Medrol once a month? Not particularly.

13 DR. FINKELMAN: Have there been any
14 studies that look at the differential protective
15 effect of the H1 or H2 blockers versus
16 Solu-Medrol?

17 DR. CUNNINGHAM-RUNDLES: That has never
18 been looked at in any methodical way is my bet. I
19 mean, here at most infusion centers, I think they
20 pull out both and just call it a day, you know.
21 Just here's your cocktail.

22 DR. FINKELMAN: You know, I'm biased by

1 our mouse studies, but --

2 DR. CUNNINGHAM-RUNDLES: Yeah.

3 DR. FINKELMAN: -- the anti-H1 blocker
4 has a huge protective effect.

5 DR. CUNNINGHAM-RUNDLES: Yeah.

6 DR. FINKELMAN: Whereas, even high doses
7 of steroids have very little effect.

8 DR. CUNNINGHAM-RUNDLES: Yeah, I think
9 it's --

10 DR. FINKELMAN: And H2 blocking also
11 doesn't do very much.

12 DR. CUNNINGHAM-RUNDLES: You know, some
13 kind of real study on that would actually be
14 really interesting because you know as, you know,
15 when you kind of just use your cocktail, you don't
16 really know what was the good part of it, you
17 know? You don't get your data that way.

18 DR. SCOTT: There are some questions
19 from the audience here. I think we can address
20 them. Margaret or Nancy are you still here?

21 MS. NORTON: Yes, I'm still here.

22 DR. SCOTT: Okay. I think --

1 MS. NORTON: Let's see, I want to see
2 where it starts.

3 DR. SCOTT: -- Dr. Rossi has a question.
4 I think there are just two or three and I'm trying
5 to get Dr. Golding to be unmuted.

6 MS. NORTON: Okay. I'll start with Dr.
7 Rossi. It seems that it would be very difficult
8 to further investigate Patient C and Patient 18 as
9 they are not in contact anymore. Okay, That's a
10 comment. Sorry, follows previous question. Do
11 you think Nathan, or Dr. Zervos, that if another
12 cluster of hypersensitivity events occur, you
13 would be able to retain the suspicious donor for
14 deeper investigation to searching for a market
15 that could be identified in donors?

16 DR. ROTH: Well, that's a great
17 question. Look, we have learned a ton through
18 this through our investigations. And I think
19 absolutely, the next time if this ever
20 unfortunately occurred to CSL, I think we have
21 learned that and we would we definitely have a set
22 of questions already in mind to be able to ask

1 such a question about their donor history, or
2 their medical history, and so on. And I'm hoping
3 that other manufacturers, you know, will learn
4 from this and also be better prepared in the
5 future if this were to happen.

6 I just want to also reiterate though
7 that we are still formulating an approach that we
8 hope to be able to reach out and see whether we
9 can find patient 18 or Donor Zed again and see
10 whether we can find out more information. I think
11 it would be very useful to see if we can contact
12 him and see whether he'd be willing to share
13 medical history that could help advance our
14 understanding.

15 MR. ZERVOS: Yeah, this is Clark. Yeah,
16 on our end with these 18 donors, we were
17 fortunately able, we had units collected that were
18 already on quarantine. So, we were able to access
19 plasma for testing. There's also retention
20 samples of previous donations that are kept.

21 We did early on, even with larger sets
22 of donors, looking at we began, you know,

1 interviewing them. Some medical professionals
2 from our Biomat plasma collections were
3 interviewing donors, you know, asking additional
4 questions about any medications they were taking,
5 any allergic-type history that they had. So,
6 yeah, as our investigation progressed, it became,
7 you know, something we learned was the ability --
8 needing the ability to be able to engage the
9 donors, have samples available, and information.
10 Thanks.

11 MS. NORTON: Thank you. This is not a
12 question or suggestion for Dr. Scott's last
13 question. The FDA should sponsor/provide a
14 session on AEs reporting and withdrawals at the
15 IGNS[sic] and NHIA[sic] meetings. Also,
16 withdrawals

17 notices should be posted in IG
18 Living[sic] and the IDF Facebook page. And their
19 last question is what about the Patient
20 Notification System? I'm not sure what that's
21 pertaining to.

22 DR. SCOTT: Well, actually, we do ask

1 firms to notify the Patient Notification System.
2 And since I signed up for patient notifications, I
3 can tell you that I have a lot of those
4 notifications from the various withdrawals over
5 the past several years. So, it works for me. I'm
6 not sure how well it works for patients. But I
7 think to get down to that level is a very good
8 idea and it ought to be useful even if not all of
9 the patients, if you will, notice it.

10 The problem might be that not so many of
11 them necessarily sign up. But I think Jorey Berry
12 was on the phone a short time ago, or on the
13 panel. She might be able to comment about the
14 Patient Notification System. I guess she had to
15 leave.

16 MS. BERRY: I am here. I was just
17 unmuting for a moment. I apologize. We would be
18 open to looking at sharing something on the IDF
19 website. I mean, we have our database of patients
20 but that's not going to be everyone. And where,
21 you know, there are things that we can do on our
22 website as well. But that's only for the people

1 that go to -- that would be aware of that. So,
2 that is something that we would be open to
3 exploring but it is also something that has its
4 own limitations.

5 DR. GOLDING: I was unmuted. Can I go
6 ahead, Dot?

7 DR. SCOTT: Yes, you can. This goes
8 back to earlier questions about the alpha and beta
9 chain of FcRI. So, go ahead.

10 DR. GOLDING: Thank you. So, well,
11 first of all, I just wanted to say that, you know,
12 this was an amazing workshop and Dot and her team
13 should be congratulated. But I also want to thank
14 the speakers of the morning session and the
15 afternoon session were all done at a very high
16 level. So, I think it was a wonderful workshop.

17 But I was intrigued by Dr. Finkelman
18 talking about polymorphisms of the alpha and beta
19 chains. So, I have some related questions that
20 maybe he or somebody else could answer. And that
21 is -- well, first of all in CSU [chronic
22 spontaneous

1 urticaria), is there a correlation
2 between the polymorphisms in either the alpha or
3 the beta chains that are associated with that
4 condition? And related to that, would it be
5 helpful to get a cell line from one of those
6 patients and would that, you know, to try and use
7 as a cell line to screen these products?

8 And the other part of this is the
9 donors. So, I understand that it's very difficult
10 -- sorry, not the donors, the recipients -- I
11 under it's very difficult to get a hold of the
12 recipients. We've had multiple clusters and I
13 didn't -- maybe I missed it -- but I didn't hear
14 any information about the recipients and any
15 characteristics that make them more susceptible.
16 It's only a small percentage of the recipients
17 that receive a particular batch that have these
18 reactions and could it be because they have unique
19 polymorphism that makes them more susceptible.
20 So, I'll stop there. Dr. Finkelman, can you help
21 with answers?

22 DR. FINKELMAN: Well, those are all the

1 questions I would love to see investigated. And
2 as you pointed out, it's not so easy if it's
3 difficult to bring back somebody and to -- I guess
4 you have to have something set up for research in
5 advance so that you can get a blood sample and
6 preserve blood and certainly making a cell line
7 would be very useful if there's somebody who has
8 the resources to do it and preserve it.

9 DR. GOLDING: But is there a correlation
10 between the polymorphisms in CSU? Has anybody
11 studied that?

12 DR. FINKELMAN: I haven't seen that.
13 I'm not an expert at this and beyond doing a
14 couple of quick Google searches. And for people
15 with CSU, of course, it's an autoantibody not an
16 alloantibody. And when you're talking about
17 polymorphisms, you're perhaps thinking about
18 somebody without disease that has an antibody to
19 an allotypic variant that they don't have but that
20 might react with a recipient who then develops a
21 reaction.

22 DR. GOLDING: So, do you know the mice

1 that were humanized mice that people mentioned, do
2 you know what kind of Fce receptors they have in
3 terms of being more --

4 DR. FINKELMAN: Yeah.

5 DR. GOLDING: -- sensitive?

6 DR. FINKELMAN: In general -- and
7 Laurent can correct me if I'm wrong -- they just
8 made with the human alpha chain, the one that my
9 lab has used is really a knockout for the mouse
10 FcRI alpha chain. And then has a transgene for
11 the human FcRI alpha chain. That then pairs with
12 the mouse beta and gamma chains.

13 DR. GOLDING: Mm-hmm.

14 DR. REBER: Absolutely, we have the same
15 one and there are also some knock-ins that is just
16 the alpha chain that is knocked in. And this I
17 wanted to point out because I think it's for
18 Patient Z that he used the HoxB8 cell line and
19 this is derived from the human Fce(alpha)
20 transgenic murine mast cell. So, it has
21 to be the alpha chain. It's really a true
22 exception because they don't have a humanized beta

1 chain.

2 DR. GOLDING: Mm-hmm. Okay. Well,
3 thank you again for a really educational workshop
4 and a lot of great work. Thank you very much.

5 DR. SCOTT: I think we have a few more
6 questions and then I will gather myself together
7 and try to give a brief summary. But I do believe
8 to have a really good summary, I would go over all
9 of this again. It's been a rich and deep
10 scientific workshop I think. And very useful to
11 us and I hope to everybody. So, we can go on with
12 these few questions and comments.

13 MS. ELLER: Okay. Then the next
14 question is in regards to better communication of
15 product withdrawals and hypersensitivity reaction
16 reporting, could a database or app possibly be
17 developed to bring together patients, hospitals,
18 infusions centers, distributors, manufacturers,
19 and regulatory agencies that would have a higher
20 visibility or quicker mode of communication,
21 including patients reporting hypersensitivity
22 reactions?

1 DR. SCOTT: I think Dr. Alimchandani may
2 have left. That is a tall order but in terms of
3 needing to modernize and improve communications, I
4 think the tools exist and this sort of seems like
5 an ideal world, but it could be done. I'm sure
6 there are plenty of potential complications like
7 possibly HIPAA, and certainly getting a lot of
8 different organizations to work together. But it
9 would definitely make things better potentially
10 for patients and maybe speed our reaction time and
11 the reaction time for the firms as well.

12 MS. ELLER: Okay. Thank you. The next
13 question is for the retention samples mentioned
14 earlier, how long are these samples kept? And I
15 think this was for Grifols.

16 MR. ZERVOS: This is Clark. We're going
17 to mute here. I'll take that. So, you know, I
18 don't think any of our colleagues from Biomat can
19 manage our plasma operations on the phone. But I
20 know we were able to go back several years to get
21 the retention samples from some of these common
22 donors we were looking at. So, I -- all right.

1 And I'm getting something internally from a
2 colleague in Los Angeles. So, we keep them
3 essentially forever. So, thank you for that.

4 MS. ELLER: All right, thank you. I
5 think the last question was answered but the
6 person wasn't -- didn't get the response. This
7 was about does grade 1 infusion rate related
8 reaction require rate reduction right away? Or
9 would you wait until symptoms progress? And I
10 believe Dr. Cunningham-Rundles says that they
11 would reduce the rate right away and they wouldn't
12 wait. Do you want to expand on that or anything
13 else?

14 DR. CUNNINGHAM-RUNDLES: Not really. I
15 mean, the other thing is you might hydrate. You
16 might give an extra bit of fluid because, you
17 know, being dehydrated is probably a little bit of
18 a negative here.

19 MS. ELLER: Okay, thank you. There is
20 let's see, is there any information regarding the
21 recipient's exhibited reaction? Did AE happen
22 after first infusion or are they primed with Z or

1 Patient 18 plasma first and responded to second or
2 subsequent infusion?

3 DR. ROTH: So, for those patients
4 receiving product that contained Z-plasma and if
5 they're -- which we refer to as Z-IG, they
6 responded on the first infusion.

7 MS. ELLER: Somebody did ask, I assume
8 that albumen and other products were also made
9 from the plasma donors who may have caused the
10 hypersensitivity reaction. But have any effects
11 on products other than IG products been
12 identified?

13 DR. ROTH: This is a really good
14 question. Sorry, Clark.

15 MR. ZERVOS: Go ahead.

16 DR. ROTH: Thank you. So, it's a really
17 good question. Yes, you know, there were other
18 products made, particularly albumin was made off
19 of the same pools where Donor Z had contributed
20 to. And none of the albumin lots that were
21 associated with this donor exhibited any sorts of
22 elevated safety signals, hypersensitivity events,

1 or otherwise.

2 MR. ZERVOS: Yeah, thanks, Nathan.

3 Yeah, it was the same for Grifols. We did look at
4 that early on any product sharing the same plasma
5 pool. But there was no signal on any of the other
6 products including albumin.

7 MS. ELLER: This is sort of related.
8 Has the learning and research output from these
9 studies been shared with blood collection
10 organizations? And related to this, has this type
11 of observation been detected in whole blood
12 transfusions?

13 MR. ZERVOS: So, I can answer. All
14 right. So, I can take part of the question. From
15 a previous investigation in Canada, probably 10
16 years ago, we did see this in --

17 DR. SCOTT: Yeah.

18 MR. ZERVOS: -- transfused components.
19 And that actually led us to narrowing down a
20 donor. His recovered plasma was used for
21 fractionation. And I'm sorry, could you repeat
22 the first part of the question?

1 Oh, I think it was sharing information
2 with blood collection companies. We haven't had
3 any direct communication with Red Cross, for
4 example, or other blood collection organizations.

5 DR. SCOTT: I think we have to stop here
6 since I only have five minutes to make some kind
7 of summary on the spot here. So, to summarize, I
8 agree with everyone who has said it's a splendid
9 workshop and that's because of our speakers, and
10 our very smooth IT crew, and the facilitators, and
11 the extra panelists. I thank all of you and if I
12 forgot anybody, that's my fault. And there are
13 many important people who had a contribution to
14 the workshop.

15 So, I'm just going to briefly summarize
16 some of the topics that we've discussed. I think
17 we all recognize that the -- what is seen for
18 these patients that have the lot-specific immune
19 globulin hypersensitivity, that this is very
20 consistent with a typical type of
21 hypersensitivity. And I would point out that
22 maybe it's differentiated from an infusion related

1 event or an event simply by being infused in that
2 the hypersensitivity reactions almost always
3 includes

4 rash, or hives, or some skin
5 manifestation in the patients with this adverse
6 event.

7 We talked about or heard about how
8 hypersensitivity reactions come to be, what can
9 trigger them, what the components of that reaction
10 are both in terms of antibodies and Fc
11 receptors, and

12 other receptors, but also in terms of
13 effector cells that cause the symptoms that
14 patients have. As Dr. Golding said, at a very
15 high level.

16 We also learned about development of
17 mouse models and importantly the kinds of testing
18 that can be done and is done in these mouse models
19 to evaluate hypersensitivity and to perform
20 research on hypersensitivity reactions. These
21 include the Fcε receptor alpha chain transgenic
22 mouse, which sounds like a wonderful tool for

1 investigations. Dr. Anthony told us about the
2 variations in antibodies, allotypes, IgG4 light
3 chain switching, and so forth. All of these
4 things we learned about including to some extent
5 receptor variations and other receptors that can
6 mediate hypersensitivity events. I mean, not IgG
7 or IgE receptors, but other receptors on the
8 surface of basophils and/or mast cells.

9 We then heard about the data that
10 supports a single donor hypothesis for these
11 clusters of hypersensitivity events. And I think
12 that probably is an eyeopener for many people who
13 had not been aware of these investigations before
14 and really quite a breakthrough in trying to
15 figure out what is going on and what to do.

16 We recognize there are a lot of
17 challenges in continuing along the path that we
18 are on in terms of figuring out in the first place
19 how to speed our reaction time to these
20 hypersensitivity clusters to try to assure that as
21 few patients as possible are exposed to such a
22 lot. And it obviously takes time even to

1 deconvolute a lot to the extent that you know at
2 least a relatively small group of donors that
3 should be at least temporarily deferred until
4 deeper investigation can be done.

5 We have heard about the challenge that
6 donors such as Donor Z or Donor 18 are difficult
7 to track down after these events have happened.
8 And probably one would start doing this sooner
9 rather than later but it takes some time to get to
10 that small group or single donor level. We also
11 lack information about the recipients. We
12 recognize the need for more sensitive tests when
13 we're looking at plasma pools and final products.

14 We also talked about the different ways
15 in which a test could be done and what might be
16 needed if there were a release test or a screening
17 test versus what might be done for an
18 investigation. And the characteristics of a
19 screening test if we knew exactly what to screen
20 for and could eliminate the noise, still has a lot
21 of hurdles with respect to being high throughput,
22 not too difficult to do, and suitable for use on a

1 large number, potentially, of samples.

2 And I would say that at this moment, I
3 personally don't think that we are ready to do
4 that. I think we still need some more scientific
5 information to find out how to develop a feasible
6 test. Either for investigations or even for
7 testing of donors.

8 So, in the discussion, we heard about
9 the promising results focused on IgE receptor
10 alpha and/or beta as a potential epitope targets
11 for the type of antibodies that appear to be in
12 Donor 18 or have the characteristics of the
13 antibodies in Donor 18 and Donor Z. But we know
14 that there are other possibilities and other
15 receptors that still need to be fully explored,
16 and other hypotheses even. But right now, I think
17 we're all excited about the results that we heard
18 and are hoping that these pan out to become very
19 useful and applicable, as well as interesting.

20 We've also talked about how to improve
21 tests, including the basophil activation test,
22 using an antibody to block FcRIIb, and

1 to further activate or make the cells more
2 sensitive in the basophil activation assay and
3 other assays in development. This
4 and other methods might be tried to
5 improve the sensitivity of such assays
6 . We also talked about some of the
7 difficulties in reaching all the donors
8 and getting all the adverse event reports, which
9 will not inherently -- it's inherent in the system
10 that currently we and firms receive spontaneous
11 voluntary reports about adverse events. And we
12 know that these do not represent the sum-total of
13 occurrences. We've talked about
14 premedication for
15 immune globulin recipients and where
16 that may be or may not be needed. And many other
17 things. But it is 4:18 so, I am going to stop but
18 I want to ask the group is there anything major
19 that I have missed in this extremely brief summary
20 of this extremely detailed and fascinating
21 information that we've received?
22 Okay. I think I have my answer then. I

1 want to thank our speakers, panelists, and
2 everyone else who has participated in the
3 workshop. There will obviously be follow- up with
4 respect to the questions. And I would propose
5 that we meet together if needed, or wished, by the
6 panelists and with the panelists and the FDA
7 basically as needed for ad hoc conversations or
8 perhaps consider writing a summary of the workshop
9 or publishing articles related to the workshop in
10 an issue of a journal. So, we can talk about that
11 later. I just thank everybody and say have a
12 great remainder of your day. And enjoy the
13 sunlight at least if you're on the U.S. East
14 Coast. And goodnight to the people who are in
15 Europe and elsewhere. And so, farewell. Thanks,
16 again. And hope we'll all talk together soon.
17 Bye bye.

18 (Whereupon, at 4:20 p.m., the
19 PROCEEDINGS were adjourned.)

20 * * * * *

21

22

CERTIFICATE OF NOTARY PUBLIC
COMMONWEALTH OF VIRGINIA

I, Kendra L. Hammer, notary public in and for the Commonwealth of Virginia, do hereby certify that the forgoing PROCEEDING was duly recorded and thereafter reduced to print under my direction; that the witnesses were sworn to tell the truth under penalty of perjury; that said transcript is a true record of the testimony given by witnesses; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this proceeding was called; and, furthermore, that I am not a relative or employee of any attorney or counsel employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.

Kendra L. Hammer

Notary Public, in and for the Commonwealth of
Virginia

My Commission Expires: September 30, 2025

Notary Public Number 7916662

