

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Virtual Meeting

Friday, June 16, 2023

10:00 a.m. to 2:50 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****Takyiah Stevenson, PharmD**

Division of Advisory Committee and
Consultant Management

Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**David E. Mitchell**

(Consumer Representative)

President, Patients for Affordable Drugs
Bethesda, Maryland

Alberto S. Pappo, MD

(Chairperson, pedsODAC)

Member, St. Jude Faculty
Director, Solid Tumor Division
Co-Leader, Developmental Biology & Solid
Tumor Program
Alvin Mauer Endowed Chair
St. Jude Children's Research Hospital
Memphis, Tennessee

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER (Non-**
2 **Voting)**

3 **Jonathan D. Cheng, MD**

4 *(Industry Representative)*

5 Senior Vice President

6 Head of Oncology Development

7 Global Drug Development

8 Bristol-Myers Squibb

9 Lawrenceville, New Jersey

10

11 **TEMPORARY MEMBERS (Voting)**

12 **Frank M. Balis, MD**

13 Professor of Pediatrics

14 Division of Oncology

15 Children's Hospital of Philadelphia

16 Philadelphia, Pennsylvania

17

18

19

20

21

22

1 **Steven G. DuBois, MD**

2 Director, Experimental Therapeutics

3 Dana-Farber/Boston Children's Hospital

4 Associate Professor of Pediatrics

5 Harvard Medical School

6 Boston, Massachusetts

7

8 **Julia Glade Bender, MD**

9 Vice Chair for Clinical Research

10 Member, Memorial Hospital

11 Department of Pediatrics

12 Memorial Sloan Kettering Cancer Center

13 New York, New York

14

15 **E. Anders Kolb, MD**

16 President and Chief Executive Officer

17 The Leukemia and Lymphoma Society

18 Rye Brook, New York

19

20

21

22

1 **Theodore W. Laetsch, MD**

2 Associate Professor of Pediatrics
3 Division of Pediatric Oncology
4 Children's Hospital of Philadelphia
5 University of Pennsylvania
6 Philadelphia, Pennsylvania

7

8 **Donna Ludwinski, BScE**

9 *(Patient Representative)*
10 New York, New York

11

12 **Holly Meany, MD**

13 Associate Professor of Pediatrics
14 Division of Oncology
15 Children's National Hospital
16 Washington, District of Columbia

17

18

19

20

21

22

1 **Rajen Mody, MD, MS**

2 Ruth Heyn Endowed Chair in Pediatric Oncology
3 Professor and Director, Division of Pediatric
4 Hematology/Oncology/Bone Marrow Transplant
5 Michigan Medicine
6 Ann Arbor, Michigan

7

8 **Donald (Will) Parsons, MD, PhD**

9 Deputy Director, Texas Children's Cancer and
10 Hematology Center
11 Professor, Department of Pediatrics
12 Baylor College of Medicine
13 Houston, Texas

14

15 **Malcolm A. Smith, MD, PhD**

16 Cancer Therapy Evaluation Program
17 National Cancer Institute
18 Bethesda, Maryland

19

20

21

22

1 **Yoram Unguru, MD, MS, MA, HEC-C**
2 Attending Physician
3 Division of Pediatric Hematology/Oncology
4 The Herman and Walter Samuelson Children's
5 Hospital at Sinai
6 Chairman, Sinai Hospital Ethics Committee
7 Core Faculty, Johns Hopkins Berman Institute of
8 Bioethics
9 Associate Professor, Johns Hopkins University
10 School of Medicine
11 Baltimore, Maryland

12

13 **FDA PARTICIPANTS (Non-Voting)**

14 **Richard Pazdur, MD**

15 Director, Oncology Center of Excellence (OCE)
16 Office of the Commissioner (OC)
17 Director (Acting)
18 Office of Oncologic Diseases (OOD)
19 Office of New Drugs (OND), CDER, FDA

20

21

22

1 **Martha Donoghue, MD**

2 Associate Director for Pediatric Oncology

3 OCE, OC, OOD, OND, CDER, FDA

4

5 **Elizabeth S. Duke, MD**

6 Medical Officer

7 Division of Oncology 2 (DO2)

8 OOD, OND, CDER, FDA

9

10 **Ruby Leong, PharmD, LCDR, USPHS**

11 Clinical Pharmacology Team Leader

12 Division of Cancer Pharmacology I

13 Office of Clinical Pharmacology (OCP)

14 Office of Translational Sciences (OTS)

15 CDER, FDA

16

17 **Stacy S. Shord, PharmD, BCOP, FCCP**

18 Deputy Division Director

19 Division of Cancer Pharmacology II

20 OCP, OTS, CDER, FDA

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Xiaofei Wang, PhD

Clinical Pharmacology Reviewer
Division of Clinical Evaluation General Medicine
Office of Clinical Evaluation (OCE)
Office of Therapeutic Products (OTP)
Center for Biologics Evaluation and
Research (CBER), FDA

Kristin Wessel, MD

Medical Officer
DO2, OOD, OND, CDER, FDA

| | | |
|----|---|------|
| 1 | C O N T E N T S | |
| 2 | AGENDA ITEM | PAGE |
| 3 | Call to Order | |
| 4 | Alberto Pappo, MD | 13 |
| 5 | Introduction of Subcommittee | |
| 6 | Takyiah Stevenson, PharmD | 14 |
| 7 | Conflict of Interest Statement | |
| 8 | Takyiah Stevenson, PharmD | 20 |
| 9 | FDA Introductory Remarks | |
| 10 | Martha Donoghue, MD | 25 |
| 11 | FDA Presentations | |
| 12 | FDA Perspective on Dosage Optimization in | |
| 13 | Pediatric Oncology | |
| 14 | Kristin Wessel, MD | 28 |
| 15 | Ruby Leong, PharmD, LCDR, USPHS | 44 |
| 16 | Kristin Wessel, MD | 52 |
| 17 | Dosage Optimization Considerations for | |
| 18 | Chimeric Antigen Receptor (CAR) | |
| 19 | T-Cell Products | |
| 20 | Xiaofei Wang, PhD | 55 |
| 21 | Clarifying Questions | 66 |
| 22 | | |

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

| AGENDA ITEM | PAGE |
|--|------|
| Guest Speaker Presentations | |
| Considerations Related to Dosage | |
| Optimization of New Drug and Biological | |
| Products for Paediatric Patients with | |
| Cancer - European Regulatory Perspective | |
| Dominik Karres, MD | 81 |
| Olga Kholmanskikh, MD, PhD | 90 |
| Pediatric Oncology Drug Development: | |
| Dose and Dose Optimization | |
| Elizabeth Fox, MD, MSCR | 100 |
| Dose Optimization of New Drug and | |
| Biological Products for Pediatric | |
| Patients with Cancer: A Perspective from the | |
| Biopharmaceutical Industry | |
| Samuel Blackman, MD, PhD | 120 |
| Clarifying Questions | 139 |

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

| AGENDA ITEM | PAGE |
|--|------|
| Open Public Hearing | 155 |
| Clarifying Questions (continued) | 159 |
| Questions to the Subcommittee and Discussion | 189 |
| Closing Remarks | |
| Martha Donoghue, MD | 229 |
| Adjournment | 232 |

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. PAPPO: Hi. It's 10:00. Good morning, and welcome. It's hard to believe that it's been a year since we last got together. I hope that everybody's doing ok. I'm extremely excited to be chairing this ODAC meeting, where we will be discussing dosage optimization of new drugs and biological products for pediatric patients with cancer. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her email is currently displayed.

My name is Dr. Alberto Pappo, and I will be chairing this meeting. I will now call the June 16, 2023 Pediatric Oncology Subcommittee of the Oncologic Drug Advisory Committee meeting to order. Dr. Takyiah Stevenson is the acting designated federal officer for this meeting and will begin with introductions.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Introduction of Subcommittee

DR. STEVENSON: Good morning. My name is Takyah Stevenson, and I'm the acting designated federal officer for this meeting. When I call your name, please unmute yourself and turn on your camera. Please introduce yourself by stating saying your name and affiliation for the record. We will first start with ODAC members.

Mr. Mitchell?

MR. MITCHELL: Good morning. I'm David Mitchell. I'm the consumer representative to the ODAC.

DR. STEVENSON: Dr. Pappo?

DR. PAPPO: Hi. My name is Alberto Pappo. I'm a pediatric oncologist, and I'm the chair of the Pediatric Oncology Subcommittee of the Oncologic Drugs Advisory Committee.

DR. PAPPO: Next, is ODAC's non-voting member, industry representative, Dr. Cheng.

DR. CHENG: Good morning. I'm Jon Cheng, a medical oncologist background, and I'm the industry rep, and I currently work for Bristol-Myers Squibb.

1 DR. STEVENSON: We'll now go to our
2 temporary members, and begin with Dr. Balis.

3 DR. BALIS: Hi. Frank Balis at the
4 Children's Hospital in Philadelphia.

5 DR. CUDKOWICZ: Dr. DuBois?

6 DR. DuBOIS: Steve DuBois at Dana-Farber/
7 Boston Children's.

8 DR. STEVENSON: Dr. Glade Bender?

9 DR. GLADE BENDER: Good morning. I'm Julia
10 Glade Bender, pediatric oncologist from Memorial
11 Sloan Kettering.

12 DR. STEVENSON: Dr. Kolb?

13 DR. KOLB: Good morning. Andy Kolb,
14 pediatric oncologist with The Leukemia and Lymphoma
15 Society.

16 DR. STEVENSON: Dr. Laetsch?

17 DR. LAETSCH: Good morning. I'm Theodore
18 Laetsch at the Children's Hospital in Philadelphia.

19 DR. STEVENSON: Ms. Ludwinski?

20 MS. LUDWINSKI: Hi. Donna Ludwinski,
21 patient representative with Solving Kids Cancer.

22 DR. STEVENSON: Dr. Meany?

1 DR. MEANY: Good morning. I'm Holly Meany,
2 a pediatric oncologist at Children's National
3 Hospital in Washington, DC.

4 DR. STEVENSON: Dr. Mody?

5 DR. MODY: Yes. Hi. I'm Rajen Mody,
6 University of Michigan, pediatric oncologist.

7 DR. STEVENSON: Dr. Parsons?

8 DR. PARSONS: Hi. I'm Will Parsons,
9 pediatric oncologist at Texas Children's Hospital
10 and Baylor College of Medicine.

11 DR. STEVENSON: Dr. Smith?

12 DR. SMITH: Good morning. I'm Malcolm
13 Smith. I'm a pediatric oncologist at the National
14 Cancer Institute and the Cancer Therapy Evaluation
15 Program.

16 DR. STEVENSON: Dr. Unguru?

17 DR. UNGURU: Good morning. Yoram Unguru. I
18 am a pediatric hematologist/oncologist at the
19 Children's Hospital at Sinai in Baltimore and
20 bioethics at the Johns Hopkins Berman Institute of
21 Bioethics.

22 DR. STEVENSON: We will now go to the FDA

1 participants.

2 Dr. Pazdur?

3 DR. PAZDUR: Hello. Richard Pazdur, and I'm
4 the director of the Oncology Center of Excellence
5 at the United States FDA.

6 DR. STEVENSON: Dr. Donoghue?

7 DR. DONOGHUE: Good morning, everyone. I'm
8 Martha Donoghue. I'm a pediatric oncologist and
9 the associate director for Pediatric Oncology and
10 Rare Cancers in the Oncology Center for Excellence
11 at FDA.

12 DR. STEVENSON: Dr. Duke?

13 DR. DUKE: Good morning. I'm Elizabeth
14 Duke. I'm a pediatric neuro-oncologist and
15 clinical reviewer at the U.S. FDA.

16 DR. STEVENSON: Dr. Leong?

17 DR. LEONG: Good morning. My name is Ruby
18 Leon. I'm an oncology pharmacist and clinical
19 pharmacology team lead at the FDA.

20 DR. STEVENSON: Dr. Shord?

21 DR. SHORD: Good morning. I'm Stacy Shord.
22 I'm a clinical oncology pharmacist, and I'm the

1 current deputy division director for the Division
2 of Cancer Pharmacology II.

3 DR. STEVENSON: Dr. Wang?

4 (No response.)

5 DR. STEVENSON: Dr. Wang, you may be on
6 mute.

7 (No response.)

8 DR. STEVENSON: Dr. Wang, if you can hear
9 me, please unmute yourself, and introduce yourself
10 by stating your name and affiliation for the
11 record.

12 (No response.)

13 DR. STEVENSON: Okay. We'll come back to
14 Dr. Wang.

15 Dr. Wessel?

16 DR. WESSEL: Hi. Good morning. I'm Kristin
17 Wessel. I'm a pediatric oncologist and clinical
18 reviewer at the U.S. FDA.

19 DR. STEVENSON: Thank you.

20 Dr. Wang, if you can hear me, please unmute
21 yourself, and state your name --

22 DR. WANG: Hi. Yes. Hello. Good morning.

1 My name is Xiaofei Wang. I'm a clinical
2 pharmacology reviewer in the Office of Clinical
3 Evaluation, Office of Therapeutic Products of CBER.
4 Thank you.

5 DR. STEVENSON: Thank you, everyone. I will
6 hand it back to the chairperson.

7 DR. PAPPO: Thank you very much,
8 Dr. Stevenson.

9 For topics such as those being discussed at
10 this meeting, there are often a variety of
11 opinions, some of which are quite strongly held.
12 Our goal is that this meeting will be a fair and
13 open forum for discussion of these issues and that
14 individuals can express their views without
15 interruption. Thus, a gentle reminder, individuals
16 will be allowed to speak into the record only if
17 recognized by the chairperson. We look forward to
18 a productive meeting.

19 In the spirit of the Federal Advisory
20 Committee Act and the Government in the Sunshine
21 Act, we ask that the advisory committee members
22 take care that their conversations about the topic

1 at hand take place in the open forum of this
2 meeting.

3 We are aware that members of the media are
4 anxious to speak with the FDA about these
5 proceedings; however, the FDA will refrain from
6 discussing the details of this meeting with the
7 media until its conclusion. Also, the committee is
8 reminded to please refrain from discussing the
9 meeting topic during breaks or lunch. Thank you
10 very much.

11 Dr. Stevenson will now read the Conflict of
12 Interest Statement for the meeting.

13 **Conflict of Interest Statement**

14 DR. STEVENSON: Thank you.

15 The Food and Drug Administration, FDA, is
16 convening today's meeting of the Pediatric Oncology
17 Subcommittee of the Oncologic Drugs Advisory
18 Committee under the authority of the Federal
19 Advisory Committee Act, FACA, of 1972. With the
20 exception of the industry representative, all ODAC
21 members and temporary members of the subcommittee
22 are special government employees, SGEs, or regular

1 federal employees from other agencies, and are
2 subject to federal conflict of interest laws and
3 regulations.

4 The following information on the status of
5 this subcommittee's compliance with federal ethics
6 and conflict of interest laws, covered by but not
7 limited to those found at 18 U.S.C. Section 208, is
8 being provided to participants in today's meeting
9 and to the public.

10 FDA has determined that ODAC members and
11 temporary members of this subcommittee are in
12 compliance with federal ethics and conflict of
13 interest laws. Under 18 U.S.C. Section 208,
14 Congress has authorized FDA to grant waivers to
15 special government employees and regular federal
16 employees who have potential financial conflicts
17 when it is determined that that agency's need for a
18 special government employee's services outweighs
19 their potential financial conflict of interest, or
20 when the interest of a regular federal employee is
21 not so substantial as to be deemed likely to affect
22 the integrity of the services which the government

1 may expect from the employee.

2 Related to the discussions of today's
3 meeting, ODAC members and temporary members of this
4 subcommittee have been screened for potential
5 financial conflicts of interests of their own as
6 well as those imputed to them, including those of
7 their spouses or minor children and, for purposes
8 of 18 U.S.C. Section 208, their employers. These
9 interests may include investments; consulting;
10 expert witness testimony; contracts, grants,
11 CRADAs; teaching, speaking, writing; patents and
12 royalties; and primary employment.

13 Today's agenda involves a discussion of
14 considerations related to dosage optimization of
15 new drugs and biological products for pediatric
16 patients with cancer. Dosage optimization is an
17 integral aspect of oncology drug development and is
18 important to maximizing the safety, efficacy, and
19 tolerability of new drugs for pediatric cancers.

20 Unique considerations associated with dosage
21 selection and optimization in pediatric oncology
22 include variability in pharmacokinetic and

1 pharmacodynamic parameters by age and size; the
2 need for age-appropriate formulations; potential
3 for toxicities associated with long-term use; and
4 the rarity of pediatric cancers. Representatives
5 from the European Medicines Agency, the pediatric
6 oncology investigator community, and the
7 pharmaceutical industry have also been invited to
8 present. This is a particular matters meeting
9 during which general issues will be discussed.

10 Based on the agenda for today's meeting and
11 all financial interests reported by the ODAC
12 members and temporary members of the subcommittee,
13 no conflict of interest waivers have been issued in
14 connection with this meeting.

15 To ensure transparency, we encourage all
16 ODAC members and temporary members of the
17 subcommittee to disclose any public statements that
18 they have made concerning the topic at issue. With
19 respect to FDA's invited industry representative,
20 we would like to disclose that Dr. Jonathan Cheng
21 is participating in this meeting as a non-voting
22 industry representative, acting on behalf of

1 regulated industry. Dr. Cheng's role at this
2 meeting is to represent industry in general and not
3 any particular company. Dr. Cheng is employed by
4 Bristol-Myers Squibb.

5 With regard to FDA's guest speakers, the
6 agency has determined that the information to be
7 provided by these speakers is essential. The
8 following interests are being made public to allow
9 the audience to objectively evaluate any
10 presentation and/or comments.

11 Dr. Samuel Blackman has acknowledged that he
12 is the founder and head of research and development
13 at Day One Biopharmaceuticals and member of the
14 Scientific Advisory Board of Peel Therapeutics, and
15 a member of Board of Directors of Presage
16 Biosciences. He has also acknowledged holding a
17 significant equity position in Day One
18 Biopharmaceuticals, Inc., and has nominal equity in
19 Peel Therapeutics, Presage Biosciences, Notable
20 Labs, and Chimera Bio.

21 As guest speakers, Drs. Blackman, Fox,
22 Karres, and Kholmanskikh will not participate in

1 subcommittee deliberations, nor will they vote.

2 We would like to remind ODAC members and
3 temporary members of the subcommittee that if the
4 discussions involve any other topics not already on
5 the agenda for which an FDA participant has a
6 personal or imputed financial interest, the
7 participants need to exclude themselves from such
8 involvement, and their exclusion will be noted for
9 the record. FDA encourages all participants to
10 advise the subcommittee of any financial
11 relationships that they may have regarding the
12 topic that could be affected by the subcommittee's
13 discussions.

14 Thank you. I will hand it back to the
15 chairperson.

16 DR. PAPP0: Thank you very much,
17 Dr. Stevenson.

18 We will now proceed with FDA introductory
19 remarks from Dr. Martha Donoghue.

20 **FDA Introductory Remarks - Martha Donoghue**

21 DR. DONOGHUE: Thank you, Dr. Pappo.

22 Good morning, and welcome to the 2023

1 Pediatric Oncology Subcommittee of the Oncologic
2 Drugs Advisory Committee meeting, and good
3 afternoon or good evening to those of you who are
4 joining us from Europe and elsewhere.

5 Many of us have heard the adage that it is
6 important to give the right drug, to the right
7 patient, at the right time. I would add to this
8 that it is also important to give the right dosage
9 of the right drug, to the right patient, at the
10 right time. A few years ago, and in keeping with
11 this, the Oncology Center of Excellence launched
12 Project Optimus to educate, innovate, and
13 collaborate with all stakeholders to move forward
14 with a more modern dose-finding and
15 dose-optimization paradigm across oncology that
16 emphasizes selection of a dosage or dosages that
17 maximizes not only the efficacy of a drug or
18 biological product, but safety and tolerability as
19 well.

20 I think most of us would agree that this is
21 an important goal, but the question is how best to
22 do this for our patients? Understandably, many

1 people have asked questions regarding how much and
2 what type of information is needed to identify the
3 optimized dosage of new drugs or biologics, and how
4 to acquire this information in the most efficient
5 way possible. Today, we will hear a variety of
6 opinions and recommendations relating to dosage
7 optimization, including why dosage optimization is
8 especially important for pediatric patients,
9 potential challenges to dosage optimization in
10 pediatric oncology, and strategies to optimize the
11 dosage of new drugs for pediatric patients with
12 cancer.

13 The goal of today's meeting isn't
14 necessarily to come away with a consensus on "the"
15 best way to identify the optimized dosage of new
16 drugs and biological products to treat pediatric
17 cancers, but rather to have a better understanding
18 of each other's perspectives to enhance
19 communication and collaboration in our future
20 efforts, understanding that a one-size-fits-all
21 approach is not appropriate or possible.

22 I'd like to take this opportunity to thank

1 the members of the subcommittee for joining us
2 today and for preparing for what should be a very
3 interesting and vibrant discussion. I'd also like
4 to express my sincere appreciation to the speakers
5 who have kindly agreed to share their perspectives
6 on this topic, including my colleagues from the
7 European Medicines Agency, Dr. Karres and
8 Dr. Kholmanskikh, as well as Dr. Beth Fox from
9 St. Jude Children's Research Hospital, and
10 Dr. Samuel Blackman, the founder of Day One
11 Biopharmaceuticals. I'd also like to thank the
12 speaker who will present during the open public
13 hearing, as well as FDA staff, and all of you who
14 are interested in this topic and have taken time
15 out of your day to join us.

16 I'll turn things back to you, Dr. Pappo.

17 DR. PAPP0: Thank you very much,
18 Dr. Donoghue.

19 We will now proceed with three FDA
20 presentations, starting with Dr. Kristin Wessel.

21 **FDA Presentation - Kristin Wessel**

22 DR. WESSEL: Thank you, Dr. Pappo, and

1 hello, everyone. My name is Kristin Wessel, and
2 I'm a pediatric oncologist here at FDA. In this
3 presentation, my colleague, Dr. Ruby Leong, and I
4 will provide FDA's perspective on dosage
5 optimization in pediatric oncology.

6 In this talk, we will first touch upon the
7 importance of dosage optimization in pediatric
8 oncology. Next, we will discuss unique aspects of
9 pediatric oncology related to dosage optimization;
10 common misconceptions and potential issues that can
11 arise in pediatric oncology dosing; the different
12 approaches to dosage optimization; and finally,
13 opportunities for interactions with the FDA in
14 order to reach agreement on the best approaches to
15 dosage optimization for pediatric patients with
16 cancer.

17 This slide provides some basic definitions
18 of terms we will use throughout this talk and
19 subsequent discussion. The term "dose" refers to
20 the quantity of the drug received by a patient,
21 such as 100 milligrams. Dosage refers to both the
22 dose and the schedule, or the recommended interval

1 between doses and the duration of treatment such as
2 100 milligrams administered daily for up to one
3 year. And finally, when we refer to an optimized
4 dosage, we mean the dosage that can maximize the
5 benefit-risk profile or provide the desired
6 therapeutic effect while minimizing toxicity.

7 Now I'd like to address why we think it's
8 important to discuss dosage optimization in
9 pediatric oncology, specifically and at this
10 particular time. First, dosage optimization is
11 essential to achieve our common goal of prolonging
12 life and ideally curing patients, while at the same
13 time decreasing the risks associated with
14 treatment.

15 This is paramount irrespective of whether
16 the therapy is intended to treat adult or pediatric
17 patients; however, identifying the optimized dosage
18 is particularly crucial for pediatric patients in
19 order to minimize their risk of incurring late
20 effects of treatment that can adversely impact
21 health and quality of life.

22 Second, there is increasing recognition that

1 the traditional more-is-better paradigm, that we
2 have successfully implemented for decades with
3 cytotoxic regimens, is not necessarily the best
4 approach for patients. Recently, there has been a
5 shift in the pediatric oncology drug landscape from
6 cytotoxic chemotherapies to more targeted therapies
7 such as kinase inhibitors, monoclonal antibodies,
8 and antibody drug conjugates; and the dose response
9 relationship with these targeted therapies often
10 differs from that of cytotoxic chemotherapy.

11 And lastly, a public discussion regarding
12 dosage optimization in pediatric oncology is
13 especially important because of the complexity and
14 potential challenges of dosage optimization in
15 pediatric oncology due to the rarity of pediatric
16 cancers, as well as other considerations specific
17 to pediatrics that we will discuss shortly. Thus,
18 a tailored and thoughtful approach is needed to
19 best serve the needs of pediatric patients with
20 cancer by optimizing dosages of cancer drugs
21 without impeding drug development.

22 Typical dose-finding trials are designed to

1 identify the maximum tolerated dose of a product
2 based on an evaluation of dose-limiting toxicities
3 or DLTs. DLTs are generally severe treatment-
4 emergent toxicities that occur within a short time
5 of starting treatment, generally within the first
6 cycle. This approach was developed for cytotoxic
7 chemotherapies with limited drug target specificity
8 and steep dose response relationships, as shown in
9 the graph on the left.

10 As you can see, for cytotoxic drugs, there
11 is generally a proportionate relationship between
12 efficacy and toxicity. As shown on the graph on
13 the right, the relationship between efficacy and
14 toxicity for targeted agents is not always
15 proportionate. Instead, as in this example, there
16 can be a range of doses for which increasing the
17 dose does not increase efficacy but does result in
18 a steep increase in toxicity; and therefore a lower
19 dose may provide the best benefit-risk balance for
20 this product.

21 This slide highlights some other key
22 differences between cytotoxic chemotherapy and

1 targeted drugs that support implementing more
2 modern approaches to identify the dosage to carry
3 forward into later trials. Cytotoxic
4 chemotherapies are typically given for a fixed
5 number of cycles or a short duration of treatment.
6 Many of the serious toxicities -- for example,
7 myelosuppression -- are predictable and occur
8 relatively early. And while late effects of
9 treatment are an important consideration, other
10 symptomatic toxicities such as nausea and diarrhea
11 can resolve with time off of treatment.

12 In contrast, the different dose response
13 relationship for many targeted therapies means that
14 the maximum tolerated dose may not be identified
15 with typical dose-finding trials. Additionally, in
16 contrast to cytotoxic chemotherapy, treatment with
17 targeted therapies may last for months to years
18 with serious toxicities potentially occurring after
19 several months of treatment. And when treatments
20 are given on a continuous basis, as we see
21 particularly with orally administered targeted
22 therapies, evaluation of chronic but lower grade

1 toxicities becomes more important. We have heard
2 from patients that long-term tolerability of oral
3 targeted therapies can be a serious issue.

4 Diarrhea is an interesting example of the
5 importance of a chronic low-grade toxicity that is
6 not typically considered a dose-limiting toxicity.
7 Here we see the CTCAE grading terminology for
8 grades 1 to 5 diarrhea, and while we can all agree
9 that grades 3 to 4 diarrhea would be a DLT,
10 grades 1 to 2 diarrhea, or up to 6 stools per day
11 over baseline, would not be typically considered a
12 DLT but would be difficult to tolerate if it
13 persists for weeks, or even months to years.

14 This underscores the need to include lower
15 grade toxicities in our evaluation of dosages to be
16 carried forward in clinical trials because they can
17 negatively impact a patient's quality of life. For
18 pediatric patients, they can also affect the
19 caregiver. If a child can't attend school because
20 of grade 2 diarrhea, a parent may not be able to
21 attend work, which can lead to financial toxicity
22 and other socio-economic effects.

1 To further illustrate the importance of
2 dosage optimization, I will now discuss a
3 real-world example from adult oncology. In 2019,
4 the FDA granted accelerated approval to trastuzumab
5 deruxtecan, a HER2-directed antibody and
6 topoisomerase inhibitor drug conjugate for the
7 treatment of HER2-positive breast cancer at a
8 dosage OF 5.4 milligrams per kilogram every
9 3 weeks. At the time, interstitial lung disease
10 pneumonitis was identified as an important safety
11 signal in patients with breast cancer, resulting in
12 a boxed warning.

13 In 2022, trastuzumab deruxtecan also
14 received accelerated approval for the treatment of
15 HER2-mutant, non-small cell lung cancer based on
16 the results of two studies. The initial trial in
17 non-small cell lung cancer, DESTINY-Lung01, was a
18 single-arm trial with objective response rate as
19 the primary endpoint. The sponsor selected a
20 dosage of 6.4 milligrams per kilogram every
21 3 weeks, which had promising anti-tumor activity
22 with an objective response rate of 55 percent in

1 91 patients; however, interstitial lung disease
2 occurred in 26 percent of the patients and resulted
3 in 2 deaths.

4 Based on discussion with FDA, the sponsor
5 then conducted the study DESTINY-Lung02, a
6 randomized dosage optimization trial comparing
7 6.4 milligrams per kilogram to 5.4 milligrams per
8 kilogram every 3 weeks. ORR and duration of
9 response were found to be similar between the two
10 groups; however, the incidence of interstitial lung
11 disease and pneumonitis was 14 percent at the
12 higher dose versus 6 percent at the lower dose.
13 Therefore, 5.4 milligrams per kilogram every
14 3 weeks was determined to be the recommended dosage
15 for patients with non-small cell lung cancer.

16 This example shows how dosage optimization
17 can successfully occur prior to approval in order
18 to improve the benefit-risk balance for patients.
19 However, we do acknowledge that this exact trial
20 design may be difficult to execute in the pediatric
21 setting, given smaller numbers of patients.

22 Now I will discuss a real-world example of

1 dosage optimization in pediatric oncology. In
2 2021, the FDA approved a supplemental indication
3 for crizotinib for the treatment of pediatric and
4 young adult patients with relapsed or refractory
5 systemic anaplastic large-cell lymphoma, the first
6 approval for this disease in pediatric patients.
7 Approval was based on the children's oncology group
8 study, ADVL0912, a single-arm dose escalation and
9 dose expansion study, which enrolled 26 patients.

10 Efficacy was assessed by overall response
11 rate and duration of response. 280 milligrams per
12 meter squared orally twice daily was the maximum
13 tolerated dose carried forward to the expansion
14 cohort. It was noted that ORR was similar at both
15 dose levels with overlapping confidence intervals;
16 however, the small number of patients led to
17 difficulty in making comparisons.

18 While the exposure-efficacy relationship
19 appeared flat for ORR and complete response, the
20 exposure-safety relationship suggested an increased
21 incidence of neutropenia and vision disorders with
22 higher exposures. We ultimately decided, along

1 with the sponsor, to issue a postmarketing
2 commitment to assess efficacy and safety at a lower
3 dose that might provide a better benefit-risk
4 profile for pediatric patients with systemic ALCL.
5 A goal moving forward is to encourage this type of
6 dosage optimization prior to approval so that
7 pediatric patients can benefit from receiving an
8 optimized dose and avoid excess toxicity as soon as
9 possible.

10 In the next two slides, I will contrast the
11 traditional dosage selection strategy with an
12 example of an updated dosage selection strategy.
13 Shown here is the Rolling 6 design that is commonly
14 used in pediatric oncology studies. This simple
15 rule-based design has been used to identify the
16 maximum tolerated dose because this design avoids
17 exposing too many patients to subtherapeutic doses
18 while preserving safety and facilitating more rapid
19 accrual.

20 With this design, the dose-limiting toxicity
21 assessment window is relatively short, for example,
22 a single chemotherapy cycle. Adverse events that

1 occur beyond the short time frame are not
2 considered when identifying the MTD, nor are lower
3 grade chronic toxicities. These data may then not
4 be considered when selecting the recommended
5 phase 2 dose that will be taken forward to
6 subsequent phases of study.

7 This is important because even if a drug is
8 deemed tolerable in a dose escalation study with a
9 small number of patients, when carried forward,
10 patients may require dosage modifications to manage
11 adverse reactions or be exposed to unnecessary
12 toxicities. If the dosage was optimized earlier,
13 there would be a better balance between
14 benefit-risk for patients.

15 This slide provides a high-level example of
16 an alternative approach to dosage selection. In
17 the dose escalation study, more than one dosage may
18 be chosen to evaluate further in order to optimize
19 the dosage. In choosing the dosages to carry
20 forward, it is important to consider the totality
21 of pharmacokinetic, pharmacodynamic, efficacy,
22 safety, tolerability, and available adult data. In

1 this example, two dosages will be further studied
2 to select an optimized dosage to be evaluated in a
3 registrational trial.

4 Again, this is just one example of a method
5 that can be used for dosage optimization. There
6 are a number of other possibilities, including
7 investigating two dosages in an expansion phase of
8 the same trial and incorporating designs to
9 selectively backfill dosing cohorts to acquire the
10 information needed.

11 Given the rarity of pediatric cancers, it is
12 worth emphasizing that it is possible to acquire
13 the information needed to identify the optimized
14 dosage with a relatively small number of patients
15 and by leveraging available adult data to inform
16 the pediatric dosage. Other approaches such as
17 pediatric extrapolation and modeling and simulation
18 should be considered. We are happy to discuss the
19 best path forward for a given development program
20 in order to facilitate expeditious drug
21 development.

22 We know that there are several unique

1 considerations in pediatric oncology that can
2 influence the approach to dosage optimization.
3 Different dosages may be needed across the span of
4 pediatric age groups for which a drug may be
5 indicated due to potential differences in
6 pharmacokinetics and pharmacodynamics based on
7 developmental changes and body size; so we should
8 consider whether different dosing strategies are
9 needed based on age, as well as appropriate PK and
10 PD sampling schedules.

11 For oral drugs, we need to consider a
12 patient's ability to swallow, palatability, and
13 food effects. We also need to consider the
14 potential for long-term effects on growth,
15 cognition, sexual development, and other late
16 effects for some targeted therapies. And finally,
17 because all pediatric cancers are very rare, it is
18 especially important to be thoughtful about how we
19 integrate dosage optimization into clinical
20 development. Arriving at this strategy should be a
21 collaborative effort in which we would very much
22 like to engage.

1 This slide provides a summary of information
2 that can be used to identify the optimized dosage,
3 or dosages, for a pediatric oncology product. The
4 totality of information, including the relationship
5 between dosages; exposure; activity/efficacy,
6 safety; tolerability; and pharmacokinetics and
7 pharmacodynamics should be evaluated throughout
8 drug development. In addition, as Dr. Leong will
9 describe later, extrapolation from adult data and
10 modeling and simulation can often be employed to
11 facilitate dosage optimization. Finally,
12 formulation considerations are important to think
13 about during the clinical development of orally
14 administered drugs intended to treat younger
15 pediatric patients with cancer.

16 I will now take some time to address a few
17 misconceptions some people may have regarding
18 dosage optimization in pediatric oncology.
19 Currently, the majority of pediatric cancer drug
20 development occurs with drugs that are being
21 developed for the treatment of adult cancers, and
22 in these cases, we have the opportunity to leverage

1 available data from the adult population to inform
2 pediatric dosing. However, one misconception is
3 that identification of the optimized dosage in
4 adults is needed prior to initiation of trials in
5 children.

6 We would like to emphasize that this is not
7 necessary and not the approach we recommend since
8 we would like drugs that have the potential to
9 benefit pediatric patients studied as early as
10 possible. Additionally, in cases where the drug
11 target is not relevant to an adult cancer, the
12 first-in-human trial can occur in pediatric
13 patients when there is sufficient scientific
14 justification and preclinical information to
15 support this approach.

16 Next, there is a question of whether the
17 recommended phase 2 dose for monotherapy should be
18 established in pediatrics prior to initiation of
19 trials evaluating combination therapies, and this
20 is not always the case. Early data from
21 monotherapy can help support an appropriate
22 starting dosage for combinations, taking into

1 account the potential for overlapping toxicities
2 and drug interactions. Additionally, there are
3 situations when the drug is not expected to have
4 sufficient activity by itself to warrant extensive
5 evaluation as monotherapy.

6 Finally, we acknowledge there is a concern
7 that dosage optimization will not be feasible in
8 pediatric and other rare cancers. We believe that
9 it is possible to incorporate principles of dosage
10 optimization without impeding pediatric drug
11 development. We understand the need for
12 flexibility when warranted and that there cannot be
13 a one-size-fits-all approach. Dosage optimization
14 may look different depending on the population and
15 the specific development program.

16 Now, my colleague, Dr. Ruby Leong, will
17 discuss clinical pharmacology considerations for
18 dosage optimization.

19 **FDA Presentation - Ruby Leong**

20 DR. LEONG: Thank you, Dr. Wessel.

21 My name is Ruby Leong, and I am a clinical
22 pharmacology team lead at the FDA. Following on

1 the misconceptions in pediatric oncology dosing
2 presented by Dr. Wessel, for the next few slides, I
3 will present potential issues encountered in
4 pediatric oncology dosing. The first potential
5 issue encountered during pediatric oncology drug
6 development is escalating to the maximum tolerated
7 dose and selecting the dose based on only
8 short-term safety data following the cytotoxic
9 chemotherapy and PD paradigm. As Dr. Wessel
10 mentioned before, we should be moving away from the
11 more-is-better mindset associated with cytotoxic
12 chemotherapy.

13 All available data, including adult clinical
14 data, and modeling, and simulation, should be
15 considered to select a pediatric dosage. For
16 pharmacokinetics and pharmacodynamics, the sampling
17 plan should be appropriate for age and size.
18 Modeling tools can be used to optimize the PK
19 sampling schedule and to conduct PK/PD analysis to
20 inform a target dosage range with pharmacologic
21 activity. The activity, efficacy, and safety
22 observed in adults, along with the dose and

1 exposure-response relationships, should be
2 considered to inform pediatric dosage selection.
3 Short and long-term safety monitoring in pediatrics
4 as appropriate may provide additional supportive
5 data. Assessment of tolerability using
6 patient-reported outcome data should also be
7 considered when available.

8 FDA recommends consideration of early
9 incorporation of clinical outcome assessments to
10 inform pediatric dosage optimization. Tolerability
11 assessments can provide additional data that is
12 complementary to traditional safety information.
13 The NCI's Pediatric PRO-CTCAE is one example of a
14 tool that can incorporate the degree to which
15 treatment-related symptomatic and non-symptomatic
16 adverse events can affect the ability or desire of
17 a patient to adhere to the treatment regimen.
18 Relevant items from the Pediatric PRO-CTCAE could
19 be selected specific to the population and
20 investigational product being evaluated.

21 The second issue we sometimes see occurs
22 with proposals to escalate dosing and pediatrics

1 beyond the adult RP2D in the absence of adequate
2 scientific justification for monotherapy or
3 combination therapies. For example, for a study
4 investigating a new drug in combination with
5 standard chemotherapy, the sponsor proposed a
6 pediatric body weight adjusted dose of the drug in
7 combination that was higher than the adult
8 equivalent single-agent recommended phase 2 dose.
9 Due to potential overlapping toxicities with the
10 combination, approaches to minimize overlapping or
11 compounding toxicities should be considered such as
12 reduction of the starting pediatric dose to lower
13 than the adult equivalent single-agent dose.

14 The third issue that we have sometimes
15 encountered is that no dosage exploration is
16 planned at all, where only one dose level was being
17 evaluated. In general, we recommend exploration of
18 more than one dosage to further understand dose and
19 exposure relationships with efficacy and safety.

20 The fourth potential dosing issue is the
21 lack of an age-appropriate formulation, including
22 lack of appropriate strengths to cover dosages

1 needed for the intended age range. Manipulating
2 solid dosage forms, such as crushing tablets or
3 opening capsules, and administering with a vehicle
4 to make an extemporaneous preparation can also
5 impact drug exposure, leading to safety or efficacy
6 concerns.

7 Early attention should be given to the
8 development of an age-appropriate formulation and
9 how it should be administered with regards to food.
10 If the drug can offer an advantage to pediatric
11 patients, we would not want to delay investigation
12 until a pediatric formulation is developed.

13 There are cases where extemporaneous
14 preparation is reasonable while the age-appropriate
15 formulation is being developed; however, potential
16 differences in exposure with the extemporaneous
17 preparation should be considered to select an
18 appropriate dosage and minimize effects on efficacy
19 or safety of the drug. Finally, a relative
20 bioavailability study of the pediatric and adult
21 formulations should also be conducted, typically in
22 adults as early as possible.

1 Given the potential dosing issues presented
2 in the previous slides, I will now present some of
3 the approaches that may help dosage optimization,
4 including leveraging adult data, pediatric
5 extrapolation, and applications of model-informed
6 drug development or MIDD.

7 I mentioned the importance of fully
8 utilizing adult data to inform pediatric dosing in
9 cases like the first scenario in this slide, where
10 clinical data in adult patients with cancer are
11 available. When utilizing the adult experience to
12 inform dosage selection for pediatric patients, we
13 generally consider whether the adult dosage is
14 adequately supported and whether the dosage
15 identified for adults is for a cancer type that
16 also occurs in pediatric patients or whether the
17 tumor types are different

18 If a recommended phase 2 dose or optimized
19 dosage has been identified for adults, the totality
20 of the adult PK/PD, efficacy, safety, and
21 tolerability data can be leveraged to inform dosing
22 in pediatric studies, and there may be less dose

1 finding needed in pediatrics. If an adult
2 recommended phase 2 dose has not been identified,
3 or for first-in-human pediatric studies, we
4 consider the available information; for example,
5 clinical PK and safety or non-clinical data that
6 can be used to inform pediatric trial design and
7 safety risks. As I will discuss in more detail in
8 a few minutes, modeling and simulation approaches
9 can be helpful for all these scenarios.

10 This slide summarizes the high-level
11 concepts of the approach to pediatric extrapolation
12 outlined in the ICH E11A Pediatric Extrapolation
13 guidance, which is more relevant to the common
14 scenario of cancer drugs being developed for the
15 treatment of adult and pediatric patients with
16 cancer, with at least some adult data accumulated
17 prior to investigation in pediatrics.

18 The extrapolation approaches and potential
19 study designs depend on a continuum of the level of
20 evidence, or prior knowledge, and confidence level
21 and similarity of disease and response to treatment
22 between adults and pediatrics. This framework also

1 highlights that even in scenarios where the
2 pediatric and adult cancers and response to
3 treatment are highly similar, we still need
4 high-quality data to support the pediatric
5 development plan.

6 We have mentioned modeling and simulation
7 often in this presentation. Model-informed drug
8 development, or MIDD, approaches may play an
9 important role in pediatric drug development and
10 can be used to support pediatric extrapolation.
11 Model-informed approaches can also be used for
12 pediatric dosage optimization by incorporating
13 information on pediatric ontogeny; identifying
14 important covariates such as weight, body surface
15 area; and also predicting PK in various age groups.
16 Model-informed approaches can be used to fill in
17 gaps in knowledge by leveraging prior information
18 from adults or other drugs from the same class, and
19 known exposure-response relationships for efficacy
20 and safety.

21 Another utility of modeling is to inform
22 clinical trial design, including appropriate

1 dosages for evaluation across the pediatric age
2 range, sample size, an optimal PK or PD sampling
3 for pediatric patients. A variety of statistical
4 and other quantitative tools such as PK/PD,
5 physiologically based pharmacokinetic modeling, and
6 quantitative systems pharmacology models can also
7 be utilized.

8 I will now turn it back to Dr. Wessel to
9 conclude the presentation.

10 **FDA Presentation - Kristin Wessel**

11 DR. WESSEL: Thank You, Dr. Leong.

12 We want to highlight opportunities for
13 interactions with the FDA to obtain feedback on
14 dosage optimization for a given development
15 program. We encourage discussions early and often
16 with the FDA for input on dosage optimization
17 strategies throughout the drug development process.
18 These discussions can begin at the pre-IND stage,
19 at the end of a phase 1 or 2 meeting, or in a
20 Type D meeting, which is intended to focus on a
21 narrow set of issues and can be used to discuss
22 dosage optimization at any point along the drug

1 development pathway.

2 Public meetings and workshops are also
3 opportunities for stakeholders to interact with
4 FDA. FDA will co-host a workshop with the
5 International Society of Pharmacometrics in October
6 on dosage optimization for oncology drugs,
7 specifically using modeling and simulation to
8 evaluate the effects of intrinsic and extrinsic
9 factors.

10 And finally, the FDA and European Medicines
11 Agency, or EMA, collaborate in various ways to
12 discuss topics related to the pediatric development
13 programs and to reach alignment on advice for
14 sponsors. These collaborations include pediatric
15 cluster teleconferences, including international
16 regulatory agencies; a common commentary process
17 through which FDA and EMA provide informal and
18 non-binding comments to sponsors on pediatric
19 development plans; and formal parallel scientific
20 advice, which is a sponsor-initiated interaction
21 through which FDA and EMA can discuss the
22 development program together prior to providing

1 feedback.

2 In summary, timely dosage optimization is
3 important to ensure the best possible quality of
4 life for children with cancer receiving targeted
5 therapies. We believe incorporation of dosage
6 optimization and pediatric clinical trial design
7 will minimize the potential for dosage
8 interruptions and discontinuations due to toxicity
9 and will minimize the chance of late effects.

10 To arrive at the optimized dosage, the
11 totality of data and approaches such as pediatric
12 extrapolation and model-informed drug development
13 should be considered. We acknowledge the need for
14 flexibility and that there is no one-size-fits-all
15 approach to dosage optimization in pediatric
16 oncology. Early discussions with FDA are
17 recommended to facilitate integration of dosage
18 optimization for seamless drug development.

19 This slide provides some dosage optimization
20 resources. I again wanted to highlight Project
21 Optimus through the Oncology Center for Excellence,
22 which Dr. Donoghue mentioned in her introductory

1 remarks, and we encourage you to visit the website
2 for more information and resources. And lastly,
3 this slide provides resources specific to pediatric
4 development and model-informed drug development.

5 This concludes our presentation. Thank you
6 very much for your time and attention, and I will
7 now turn it over to my colleague, Dr. Xiaofei Wang,
8 for the next presentation.

9 **FDA Presentation - Xiaofei Wang**

10 DR. WANG: Thank you, Dr. Wessel.

11 Good morning, everyone. My name is Xiaofei
12 Wang. I'm a clinical pharmacology reviewer in the
13 Office of Clinical Evaluation, Office of
14 Therapeutic Products, Center for Biologics
15 Evaluation and Research. Today, I will talk about
16 dose optimization considerations for chimeric
17 antigen receptor, CAR T-cell products.

18 This is an outline of my presentation. I
19 will first have a brief overview of CAR T-cell
20 therapy, the differences between CAR T-cell therapy
21 and conventional therapies, and then I will discuss
22 dose considerations in the development of

1 CAR T-cell therapy and our experiences of
2 dose-response relationships for approved CAR T-cell
3 therapies. At the end, I will talk about the dose
4 optimization principles in the development of
5 CAR T-cell therapy. To facilitate the development
6 of CAR T-cell products, I will also provide some
7 regulatory resources.

8 CAR T-cell therapy is an ex vivo genetically
9 modified T-cell immunotherapy targeting cell
10 surface antigens. CAR T cells are living drug
11 products which proliferate during the manufacturing
12 process. As shown in the right graph, after
13 infusion, CAR T cells bind to the target antigen
14 and get activated, starting expansion and
15 differentiation. Activated T-cell signaling
16 results in lysis of tumor cells, cytokine
17 signaling, and stimulation of bystander immune
18 cells.

19 This slide shows the general procedure of
20 CAR T-cell therapy. I'm going to use autologous
21 CAR T-cell therapy as an example. Autologous
22 CAR T-cell therapy uses the patient's own T cell to

1 manufacture the CAR T cells that they will receive.
2 First, T cells are collected by removing peripheral
3 blood from patients, which is called apheresis.
4 The collected T cells go through genetic
5 modification and expansion to obtain sufficient
6 T cells, expressing chimeric antigen receptor.
7 Patients receive CAR T cells through intravenous
8 infusion.

9 In general, to help CAR T-cell in vivo
10 expansion and enhance efficacy, patients receive
11 lymphodepletion conditioning prior to
12 administration of CAR T cells. After infusion,
13 CAR T cells distribute and bind to target antigen
14 and initiate activation signaling to kill cancer
15 cells and stimulate immune responses against cancer
16 cells.

17 This slide compares CAR T-cell therapy and
18 conventional therapies. Regarding active drug
19 substance, CAR T cells are ex vivo genetically
20 modified T cells. Unlike the active drug substance
21 of conventional small molecule or antibody-based
22 therapies, CAR T cells are living drugs.

1 CAR T-cell products contain different T-cell
2 subsets. The composition of autologous CAR T-cell
3 products is unique for each patient, while
4 conventional therapies usually have a fixed drug
5 composition, single active pharmaceutical
6 ingredient, or fixed-dose combination.

7 As I mentioned before, CAR T-cell therapy is
8 given by infusion, mostly by intravenous infusion.
9 There are various routes of administration for
10 conventional therapies. For CAR T cells, the
11 therapeutic effects may be achieved via single-dose
12 treatment, for example, autologous CAR T cells for
13 hematological malignancies. In general,
14 therapeutic effects may be achieved by
15 multiple-dose treatment for conventional therapies.

16 The conventional absorption distribution,
17 metabolism, and excretion concepts, which apply to
18 pharmacokinetics of conventional therapies, do not
19 apply to the pharmacokinetics of CAR T cells. This
20 is because CAR T cells are living drugs. Cells
21 proliferate in vivo after administration;
22 therefore, the mass balance concept does not apply

1 either.

2 There are two types of CAR T cells,
3 autologous CAR T cells and allogeneic CAR T cells.
4 Autologous CAR T cells are made from a patient's
5 own T cells. The drug product composition is
6 unique for each patient. This may increase risk of
7 potential therapy delay or manufacturing failures,
8 while allogeneic CAR T cells are made from a
9 healthy donor's T cells. It's a universal,
10 off-the-shelf product. This decreases risk of
11 potential therapy delays or manufacturing failures.

12 Because autologous CAR T cells are made from
13 a patient's own T cells, there's no concern of
14 graft-versus-host disease, and long-term
15 persistence is observed for autologous CAR T cells;
16 however, for allogeneic CAR T-cell products, due to
17 potential immune responses, graft-versus-host
18 disease and the long-term in vivo persistence are
19 potential concerns.

20 In August 2017, FDA approved the first
21 CAR T-cell therapy, Kymriah, for patients up to
22 25 years of age with B-cell precursor acute

1 lymphoblastic leukemia. This is currently the only
2 approved CAR T product for pediatric patients.
3 Since 2017, FDA has approved six CAR T-cell
4 products. The first four are anti-CD19 CAR T-cell
5 products and the last two are anti-BCMA CAR T-cell
6 products.

7 Now, let's talk about dose considerations in
8 clinical development of CAR T-cell therapy. The
9 starting dose in first-in-human studies is
10 generally based on preclinical and available
11 clinical experiences of the same product or similar
12 CAR T products. For autologous CAR T-cell therapy,
13 especially administered through intravenous
14 infusion, we do not recommend the intra-subject
15 dose escalation. I will talk about reasons later
16 when I discuss considerations for repeat dosing.

17 Various dosing regimens have been reported.
18 In general, single-dose IV infusion has been used
19 with autologous CAR T cells for hematological
20 malignancies. Single and repeat doses have been
21 reported for both autologous CAR T cells indicated
22 for solid tumors and allogeneic CAR T cells.

1 There are some considerations for repeat
2 dosing. First of all, lymphodepletion is
3 myelosuppressive. Multiple rounds of
4 lymphodepletion may raise potential safety
5 concerns. Secondly, we have observed substantial
6 lower CAR T-cell expansion after repeat dosing
7 compared to the CAR T-cell expansion after the
8 initial dose. This may be due to antigen escape
9 and T-cell exhaustion.

10 As I mentioned earlier, CAR T-cell therapy
11 is a living drug that is composed of different
12 subsets of T cells. Different T-cell subsets have
13 different expansion capacity and different
14 functionality; therefore, product characteristics
15 such as composition of different T-cell subsets and
16 the status of differentiation of T-cell subsets may
17 affect the in vivo expansion and clinical outcomes.

18 To improve CAR T-cell in vivo expansion,
19 patients usually receive lymphodepletion
20 conditioning prior to receiving CAR T-cell
21 treatment. Lymphodepletion increases CAR T-cell
22 expansion and persistence by removing cytokines

1 [indiscernible] and eradicating immunosuppressive
2 elements such as regulatory T cells. Different
3 indications may require different doses of CAR T
4 cells to achieve stratified safety and efficacy.

5 In addition, a different indication may
6 require a different route of administration. For
7 example, intracavitary administration is used for
8 central nervous system cancer, and the differences
9 between intracavitary and regular IV infusion
10 should be considered for dose selection.

11 As general development of conventional
12 therapies, the exposure-response relationship
13 usually provides important information in dose
14 optimization. Clinical safety and efficacy
15 profiles are always critical in dose selection,
16 especially in the situation that there's no evident
17 dose-response relationship for certain CAR T
18 products.

19 Currently, we have limited experiences with
20 developing CAR T-cell therapy for pediatric
21 patients with cancer. There's only one approved
22 CAR T product indicated for treatment of pediatric

1 patients, Kymriah for the treatment of patients up
2 to 25 years of age with refractory B-cell precursor
3 acute aplastic leukemia. The product labeling
4 includes a wide range of recommended dosing for
5 this product, 0.2 to 5 million CAR-positive viable
6 T cells per kg of body weight for patients 50 kg or
7 less, or 10 to 250 million CAR-positive T cells for
8 patients more than 50 kg. This is based on
9 considerations of lack of evidence of dose-response
10 relationship in clinical trials and manufacturing
11 feasibility.

12 For conventional therapies, data from adults
13 is generally used to inform pediatric dosing. For
14 CAR T-cell products, data from adults may also
15 inform dosing in children. Therefore, inclusion of
16 adolescents in adult trials is encouraged if
17 scientifically justified; however, in many cases,
18 children and adults have distinct biologies of
19 malignancies. The overlap for cancers and antigens
20 of interest between children and adults is very
21 limited.

22 To address this issue, early phase clinical

1 studies may need to enroll both children and
2 adults. Unlike doses of CAR T-cell products in
3 adults with both flat dose and body weight or body
4 surface area-base dosing, we generally recommend
5 the body weight or body surface area-based dosing
6 rather than flat dosing for pediatric patients.

7 This slide illustrates that the dose
8 exposure relationship of CAR T-cell products can
9 vary. As shown in the left graph of this slide,
10 there's no evident dose exposure-response
11 relationship observed for Breyanzi, an anti-CD19
12 CAR T product. In contrast, we observe a positive
13 dose exposure response for Abecma, an anti-BCMA
14 CAR T-cell therapy. Because of the heterogeneity
15 of autologous CAR T-cell products, we see high
16 intra-subject variability and overlapping of the
17 exposure across different dose levels.

18 This slide shows the exposure response
19 relationships for efficacy that are typical for the
20 CAR T-cell products. In general, CAR T-cell
21 exposure shows [indiscernible] risk factor
22 efficacy. This slide shows exposure-response

1 relationship for safety perspective. Subjects with
2 cytokine release syndrome had higher CAR T-cell
3 exposure compared to subjects who did not
4 experience cytokine release syndrome or severe
5 cytokine release syndrome.

6 In summary, dose optimization is critical in
7 the development of CAR T-cell therapy, especially
8 for hematological malignancies. Sometimes a single
9 dose may achieve intended therapeutic effect. In
10 light of the single-dose strategy, ensuring
11 patients receive the best, most optimized dose is
12 especially important. While dose optimization for
13 CAR T-cell products is challenging, for autologous
14 CAR T products, exposure profiles overlap across
15 different doses due to product heterogeneity.

16 The general principles of oncologic drug
17 product dose optimization, talked in Dr. Wessel and
18 Dr. Leong's presentation, also apply to CAR T-cell
19 therapy. Dose optimization is based on the
20 totality of safety, efficacy, and pharmacokinetic/
21 pharmacodynamic data. Due to the complexity of
22 dose optimization of CAR T-cell therapy, we

1 encourage investigators to communicate with FDA in
2 the early phase of clinical development.

3 The development of CAR T-cell therapy is
4 challenging, and dose optimization is very
5 critical. This slide lists some FDA guidances for
6 cell and gene therapy product development. This is
7 the FDA guidance for dose optimization. The
8 general principles and strategies also apply to
9 CAR T-cell therapy. Thank you.

10 **Clarifying Questions**

11 DR. PAPPO: I would like to thank
12 Drs. Wessel, Leong, and Wang for their excellent
13 presentations.

14 We will now take clarifying questions for
15 the FDA presenters. Please use the raise-hand icon
16 to indicate that you have a question, and please
17 remember to lower your hand by clicking the
18 raise-hand icon again after you have asked your
19 question. When acknowledged, please remember to
20 state your name for the record before you speak and
21 direct your question to a specific presenter, if
22 you can. If you wish for a specific slide to be

1 displayed, please let us know the slide number, if
2 possible.

3 Finally, it would be helpful to acknowledge
4 the end of your question with a thank you and end
5 of your follow-up question with, "That is all for
6 my questions," so we can move on to the next panel
7 member.

8 I see several questions already. We're
9 going to start with Dr. Steve DuBois.

10 DR. DuBOIS: Thank you, Dr. Pappo. Steve
11 DuBois, Dana-Farber/Boston Children's. Actually, I
12 have questions for all presenters, but maybe I'll
13 just ask two of the questions that I have.

14 For Dr. Wessel, can you give us a sense of
15 the range of sample sizes that have been included
16 in some of the dose optimization, sort of
17 randomized dose optimization studies, just to give
18 us maybe some bookends on how that's been done?

19 Then for the last presenter, I'm struggling
20 a little bit with the CAR T exposure because, of
21 course, the dose that's administered doesn't always
22 translate into the exposure over time of the

1 T cells because they can expand. So I wonder if
2 you have thoughts on how that can be dealt with or
3 conceptualized in terms of dose optimizing. Thank
4 you.

5 DR. WESSEL: Hello. This is Kristen Wessel
6 with FDA. I'll start by answering the sample size
7 ranges question. I think most of this comes from
8 the adult setting, but most sample sizes have
9 included maybe 20 to 50. I will say that in the
10 pediatric setting, the purpose of randomization is
11 not necessarily to power a statistical comparison;
12 it's more to ensure that patient characteristics
13 are evenly balanced across groups.

14 I do want to allow Dr. Mirat Shah, who is
15 lead in Project Optimus, to respond to this
16 question as well.

17 DR. SHAH: Hi, everyone. My name is Mirat
18 Shah. I'm a breast and gynecologic oncologist at
19 FDA and also one of the co-leads for Project
20 Optimus. I just wanted to provide additional
21 context for this question. I think this question
22 of randomization -- why, how many, when -- is

1 incredibly important to this entire discussion.

2 Just stepping back a bit, I think we all
3 understand that understanding dose response is
4 critical for dosage optimization, and the reason
5 randomization is something that we recommend in
6 some settings is because we I think it's a
7 particularly powerful tool to understand
8 dose response or attempt to balance the known and
9 unknown factors that might be present in different
10 patient populations receiving the different
11 dosages, and to try and limit the influence of
12 confounders on our interpretation of dose and
13 response relationships.

14 That being said, it's not something that we
15 recommend just to recommend, so if we think that
16 it's unlikely to be feasible in a certain setting,
17 or unlikely to be informative in a certain setting,
18 or may not even be needed because so much other
19 data exists that can be leveraged, then we wouldn't
20 necessarily recommend it. And I think the
21 questions of sample size really depend on the
22 specifics of the scenario, which is why, as

1 Dr. Wessel mentioned, we welcome these early
2 interactions that are collaborative between
3 sponsors and regulatory agencies to try and
4 understand the different approaches that can be
5 used and provide advice. I'll pause there.

6 DR. DuBOIS: Thank you. That was very
7 helpful.

8 Then maybe Dr. Wang for the question about
9 exposure, like dose exposure for T cells that can
10 expand.

11 DR. WANG: Hello. This is Xiaofei Wang,
12 clinical pharmacology reviewer. Regarding the
13 exposure of T cells, currently with dose exposure
14 response, we have observed different dose exposure
15 responses. For certain drug products and also for
16 certain indications, we note that there's no
17 evidence for dose exposure response; however, for
18 certain others we receive, we notice positive dose
19 and responses.

20 Also, these may impact by the different
21 indications also due to the heterogeneity of
22 autologous CAR T-cell products. However, in

1 general, regarding the exposure response, we
2 generate noticed a higher exposure associated with
3 better efficacy. Thank you.

4 DR. DuBOIS: Thank you. Nothing further for
5 me, Dr. Pappo.

6 DR. PAPP0: Thank you very much.

7 Dr. Malcolm Smith is next.

8 DR. SMITH: Thank you. I have a question
9 for Dr. Wessel, and it relates to slide 5 in her
10 presentation. The question, in general, is what
11 are the classes of agents within the group of what
12 we call molecularly targeted agents for which a
13 broad therapeutic window can be expected, and for
14 which you might find meaningful changes in doses
15 that could be made without compromising anticancer
16 effort?

17 ALK is an example. Yes, there's a range of
18 doses that are effective. Imatinib would be
19 another one; many of the targeted kinase inhibitors
20 for which there are gene fusions or kinase
21 mutations. But then we have other agents that we
22 call targeted as well, like MEK inhibitors, and the

1 MEK inhibitors would be an example for which the
2 preclinical in vivo data shows that there's a
3 dose-response relationship that extends well beyond
4 the drug exposures that can be tolerated in people.
5 So we would love to give more, but we can't because
6 of skin toxicity, because of other toxicities that
7 arise, and other agents, HDAC inhibitors,
8 MDM2 inhibitors, LSD1, a range of agents for which
9 the dose exposure relationship from preclinical
10 studies extends beyond what's tolerable in the
11 clinic.

12 So my general question is, is there
13 recognition of this distinction in targeted therapy
14 agents; and if so, how is it dealt with? Because
15 presumably for an agent like a MEK inhibitor, you
16 use the highest dose that would be tolerated in
17 patients, being careful to define what tolerability
18 is and including chronic dosing. So again, is
19 there recognition of this distinction; and if so,
20 how is it dealt with?

21 DR. WESSEL: Hi. Yes. This is Kristin
22 Wessel with FDA. Thank you so much for the

1 question. I will acknowledge that we do definitely
2 agree that the toxicity and efficacy relationship
3 isn't going to be exactly the same for all targeted
4 agents, and we're trying to find that sort of
5 intersection on the curve where we maximize
6 efficacy without increasing toxicity and, yes, that
7 can look different for different classes of agents.
8 So it really depends on the specific drug and the
9 development program. So this is kind of a general
10 slide for illustrative purposes, but we do
11 recognize that not every curve of toxicity and
12 efficacy for every targeted drug is going to look
13 exactly like this.

14 I'll give my FDA colleagues an opportunity
15 to elaborate, if they wish to, on that question.

16 (No response.)

17 DR. SMITH: Okay. Thank you.

18 DR. PAPPO: Next is Dr. Julia Glade Bender.

19 DR. GLADE BENDER: Thank you. Julia Glade
20 Bender from Memorial Sloan Kettering. I have a
21 question that I think is directed to both
22 Dr. Wessel and Dr. Leong, which is what is the role

1 that therapeutic drug-level monitoring might have
2 in optimizing dose? Because with several of the
3 targeted agents, we've observed that there really
4 isn't a dose exposure relationship necessarily;
5 there's a lot of intra-patient variability. So is
6 there a role for therapeutic drug monitoring in
7 order to optimize dose?

8 (No response.)

9 DR. PAPPO: Martha, I think you're muted.

10 DR. DONOGHUE: Sorry. This is Martha
11 Donoghue, and I'll just start off with that answer
12 to that excellent question. The quick answer is
13 that there certainly is a role for therapeutic drug
14 monitoring and dosage optimization. I think, based
15 upon my experience, sometimes it's difficult to get
16 that data in a timely way in order to inform drug
17 development as it proceeds, although certainly, if
18 it's available, I think it can provide very
19 important information to guide our approach to
20 dosage optimization.

21 Your point about intra-patient variability
22 is also well taken in that if there is a drug that

1 has a large degree of intra-patient variability, we
2 may need to think about dosing strategies where
3 we're individualizing dosing for patients, although
4 that's not typically the norm.

5 I am wondering if any of my clinical
6 pharmacology colleagues would like to weigh in as
7 well on this.

8 Dr. Shord, do you have any thoughts on this
9 or do you think I covered it?

10 (No response.)

11 DR. GLADE BENDER: Dr. Pappo, may I ask a
12 second question?

13 DR. PAPP0: Yes, of course.

14 DR. GLADE BENDER: The second question was
15 actually probably directed at Dr. Wessel, which
16 was, again, the idea -- I believe you mentioned
17 that a randomized dosage question could be
18 incorporated in a registration trial, and as we
19 move towards more seamless trial designs and
20 fit-for-filing designs, I wonder what that looks
21 like; and is the dose optimization question part of
22 the efficacy question, and if, in fact, one of the

1 dosages is less efficacious, how is that addressed
2 by the trial?

3 DR. WESSEL: Thanks for the question. I
4 think I'll emphasize that we like to start these
5 discussions on designs of trials in the very early
6 stages, and we do acknowledge that maybe not every
7 registrational trial will incorporate a randomized
8 dosage optimization design. This can be done in
9 other ways in pediatrics, and we acknowledge that
10 it may be difficult to accomplish.

11 I think, emphasizing again, we need to look
12 at the totality of the data throughout drug
13 development. If there is a less efficacious dose,
14 I think it's a matter of looking at the overall
15 risk-benefit of the drug profile. For example, we
16 would advocate a Bayesian design, in that case
17 where an arm can be dropped if it's not as
18 efficacious or safety is clearly worse, if we were
19 in the scenario that you mentioned.

20 I'll let, I think, some of my colleagues
21 chime in on that because I think there might be
22 other thoughts.

1 DR. SHAH: Hi, everyone. I can provide some
2 comments on this excellent question also. I think,
3 as Dr. Wessel and my other colleagues have alluded
4 to, the specifics do depend on the scenario, but I
5 can outline some different scenarios that might be
6 illustrative and kind of broad strokes.

7 So it really depends on the specific
8 development program, but in a situation where the
9 investigational product was being compared to
10 standard-of-care control arm, and there were
11 multiple dosage arms for the investigational
12 product, there would be a need for a strong type 1
13 error control, and we would really want input from
14 our statistical colleagues on that design.
15 However, the overall assessment of efficacy for the
16 investigational product to the standard-of-care
17 control arm could be separated from, perhaps, an
18 earlier interim assessment of the overall
19 risk-benefit of the different investigational
20 product dosages being evaluated to perhaps drop one
21 of those arms.

22 In other situations, where a randomized

1 trial comparing the investigational product to a
2 standard-of-care control arm would not be the
3 approach forward, perhaps something like a
4 single-arm trial would be what would be used for
5 approval. In that situation, still patients can
6 receive multiple dosages of the investigational
7 products, and we can look at the totality of
8 efficacy, and safety, and tolerability information
9 generated to inform our assessment. But yes, I
10 just wanted to provide that additional context to a
11 really good question.

12 DR. GLADE BENDER: Thank you.

13 DR. PAPPO: Thank you.

14 I'd like to allow one additional question,
15 and then the other ones, Drs. Balis and Mody, we
16 will have additional time after lunch where we can
17 ask additional clarifying questions.

18 Dr. Laetsch, please ask your question.

19 DR. LAETSCH: Thank you, Dr. Pappo. My
20 question was similar to Dr. Glade Bender's, but
21 I'll address it to Dr. Wang about, again,
22 intra-patient variability of CAR T-cell exposures.

1 As already been discussed, clearly, these are
2 autologous products, so there are differences
3 there. There are also differences in patient
4 disease burden and target, which may drive
5 differences in expansion.

6 So again, I wonder how the FDA thinks about
7 trial designs such as fractionated or CAR dosing,
8 that smaller doses are given serially and stopped
9 if there's excess toxicity, or other ways of
10 individualizing dose for individual patients when
11 there is expected to be high degrees of
12 variability.

13 DR. WANG: Yes. Thank you very much.
14 That's a very good question. I think this is an
15 excellent question and a point made here. In
16 addition to the product characteristics, like
17 heterogeneity [indiscernible], also there are many
18 other confounding factors that may impact the CAR
19 T-cell expansion, including the tumor burden.

20 We have seen many different dosing
21 strategies to mitigate the risks of CAR T-cell
22 therapy, including this splitting dose. But now I

1 have to say, we have not reached a conclusion
2 regarding whether the splitting dose is better than
3 a single dose because we have seen different
4 experiences. Some investigators, even later on,
5 they have some preliminary evaluations comparing
6 the splitting dose and one single dose. Sometimes
7 they didn't find a substantial difference, and then
8 they started moving on with a single dose.
9 However, some investigators, they believe the
10 splitting dose might have a better safety profile,
11 and they continue proposing the splitting dose.
12 Now, we are still at a stage of collecting data and
13 the experiences regarding this.

14 Also, I'd like to ask my colleague,
15 Dr. Najat Bouchkouj, if she would like to elaborate
16 for this. Thank you.

17 DR. BOUCHKOUJ: Sure. Thank you, and thanks
18 for the excellent question. This is Najat
19 Bouchkouj. I'm a pediatric oncologist at the FDA.
20 I just want to echo what Dr. Wang just had
21 mentioned, that we are right now still in the early
22 phase in terms of dose optimization for CAR T-cell

1 therapy in clinical trials. We are at the stage
2 where we are still gathering data. We are
3 encouraging early communications with the FDA in
4 terms of study designs, where we can optimize the
5 optimal dosage for these therapies, and to take
6 into consideration the efficacy and safety as well,
7 because as mentioned, split dosing has been
8 proposed by several investigators, and ultimately
9 what we want is just to collect data and see if
10 these approaches are going to be informative in the
11 future. I hope that answered that question as
12 well.

13 DR. LAETSCH: Yes. Thank you very much.

14 DR. PAPPO: And again, we will have
15 additional time for more clarifying questions after
16 the open public hearing session of the meeting.

17 We will now proceed with the first guest
18 speaker presentation from Dominik Karres, followed
19 by Dr. Olga Kholmanskikh.

20 **Guest Speaker Presentation - Dominik Karres**

21 DR. KARRES: Thank you very much. My name
22 is Dominik Karres. I work at the Paediatric

1 Medicines Office at the European Medicines Agency,
2 and I would like to thank you very much for this
3 kind invitation, giving us the opportunity to
4 provide the European perspective and considerations
5 related to dose optimization of new drug and
6 biological products for pediatric patients with
7 cancers. This is a joint presentation -- as you've
8 mentioned, I'm together with my colleague,
9 Dr. Kholmanskikh -- and has been compiled together
10 with colleagues from the European Regulatory
11 Network.

12 This is our usual disclaimer. This is the
13 overview of our talk. We'll provide general
14 reflections on dosage selection and optimization,
15 including pediatric specificities, followed by some
16 examples; examples which are reflective of the
17 current approach taken by the EMA, the Paediatric
18 Committee, PDCO, at this stage of redevelopment
19 discussions during the pediatric investigation and
20 assessment, PIP assessment, governed by the EU
21 pediatric regulation. I will then hand over to my
22 colleague for examples at the time of marketing

1 authorization and along the product's lifecycle.
2 Finally, key considerations and some references to
3 your guidance will be highlighted.

4 We would like to start with general
5 reflections on pediatric development to emphasize,
6 really, the importance of ensuring that appropriate
7 dosage selection and optimization since early
8 initial study design discussions are taking place
9 such that all available evidence -- and we've heard
10 that today already -- including quality,
11 preclinical, and clinical data supported by
12 relevant methodologies, are taken into
13 consideration to support the identification of an
14 optimal dosage early. Because pediatric oncology
15 drug development targets rare diseases, it's
16 paramount in children to maximize robust evidence
17 generation such that it supports the necessary
18 decision steps along the lifecycle management.

19 We would like to stress as well that
20 non-availability, or non-relevant, of existing
21 adult data is not a reason to delay planning and
22 initiation of pediatric development. Appropriate

1 design considerations for pediatric development
2 authorized to optimal dosage are, of course,
3 context-dependent. We've heard lots already today
4 that it depends on the product, cytotoxic product
5 or molecularly targeted agent, and what is the
6 target population. Is it a combination development
7 and is there relevant evidence available to be
8 utilized to inform pediatric trial designs?

9 This schematic overview visualizes the
10 context dependency related to the dose
11 exposure-response relationship, appreciating the
12 interdependencies, as outlined on that slide,
13 between the drug under evaluation and the
14 population treated. It's important to ensuring a
15 sound understanding of the PK/PD, or
16 exposure-response relationships, and the relevant
17 pediatric target population, and also to support
18 potential similarity on exposure-response
19 relationship to the relevant biomarker-positive
20 patients across age groups to contribute to
21 potentially leveraging relevant prior information.
22 I'm referring here to the use of extrapolation. We

1 will come back to this visual overview later in the
2 presentation.

3 Safety and pharmacokinetic characterization
4 on the individual product remains the key objective
5 for initial exploratory trials. There is the
6 acknowledgement that indeed the classical approach
7 identifying a maximum tolerated dose does not take
8 into consideration specificities; for example,
9 continuously [indiscernible] and
10 over-targeted [indiscernible] therapies, which may
11 elicit clinically relevant activity already at
12 lower doses and later onset toxicities beyond the
13 first one or two cycles of therapy. Taking these
14 considerations into account, early and continuous
15 regulatory support to timely determine optimized
16 dosage, including effects on biomarkers and
17 outcomes, is paramount.

18 For pediatric drug development, a PIP life
19 cycle approach is applied to support development
20 efforts on a continuous basis and adequately early
21 data generation to inform subsequent development
22 design considerations when moving forward into a

1 [indiscernible] or registrational development, and
2 regulatory support through the PIP enables ensuring
3 continuous discussions to identify optimal dosage
4 based on data in close collaboration with all
5 stakeholders.

6 When it comes to pediatric dose selection
7 and optimization, as mentioned earlier, developers
8 should justify proposed dosing regimens, including
9 potential combination developments in the context
10 of existing nonclinical or clinical data as
11 relevant in support of a development in the
12 intended target population, which would include all
13 relevant age subsets. If a pediatric stand-alone
14 development is foreseen with some prior data in
15 adults, lacking or not being relevant, general
16 guideline considerations apply, and that might
17 require also evaluation of alternative dosing
18 regimens.

19 With regard to starting dosage
20 considerations for pediatric trials, the pediatric
21 committee is commonly agreeing to apply allometric
22 scaling based on body weight or body surface area

1 using exposure matching, taking into consideration
2 allometric scaling, as well as maturation
3 considerations. But we also see, although less
4 often, pediatric dose escalation designs using, for
5 example, 80 percent of the recommended phase 2 dose
6 as starting dose. The latter approach can be based
7 on potential safety concerns identified in adults.

8 Our overarching objective remains
9 facilitating identification of an optimal dosage
10 regimen early, whilst acknowledging that evidence
11 generation to supporting further dose optimization
12 may have to be seen as part of a product's
13 lifecycle management such that the totality of
14 evidence might be available only at a later stage.
15 We're, hence, also considering within patient dose
16 de-escalation and titration as appropriate when
17 sound, adequately justified, and predefined.

18 On the next two slides, we would like to
19 give you some examples of discussions we've had
20 with developers and sponsors during discussions
21 related to dosage selection and optimization. The
22 common theme, which you require, is further

1 justification related to the adequacy of the chosen
2 dosage approach in the context of existing usually
3 adult data, particularly body surface area and body
4 weight-based dosing, accounting for variability in
5 exposure levels, often characterized through and in
6 support by modeling simulation from adult data and
7 using relevant predefined cutoffs, either age or
8 weight.

9 Finally, further discussions occur on how
10 modeling and simulation models account for ontogeny
11 maturation considerations for the entire age range
12 proposed for development within the PIP, and
13 usually rate to the lower weight or age
14 [indiscernible] cutoff on the discussions.

15 Having in mind the intended target
16 population, we also request, as relevant,
17 discussions related to potential drug-drug
18 interactions and how those could potentially impact
19 achieving a relevant target exposure, particularly
20 since different combination developments may be
21 envisaged as compared to adults. We have had cases
22 where, indeed, drug-drug interactions related to

1 pediatric specific backbone therapies used for a
2 combination led to increased toxicities, limiting
3 achievement of the matched target exposure.
4 Drug-drug interactions can often be extrapolated
5 from adults; however, in younger children where,
6 for example, the liver is not fully developed,
7 extrapolation of drug-drug interactions may become
8 more difficult, depending on the individual
9 medicine or product.

10 Other considerations are related to
11 evaluating target engagement, target occupancy,
12 including early assessment of biomarkers, all to
13 support early evidence generation, including
14 signals of activity using an optimal dosage to
15 inform go-/no-go decisions to move forward into a
16 pivotal or registrational development. We're also
17 seeing increased use and are supportive of novel
18 designs for dose finding such as model-based and
19 model-assisted designs.

20 On my last slide, before handing over to my
21 colleague, I would like to emphasize that depending
22 on the available knowledge and the respective

1 context when discussing pediatric development as
2 part of a PIP assessment, we are commonly
3 challenging developers and how the totality of data
4 are planned to be generated in an early-phase trial
5 is intended to inform appropriate dose finding and
6 dosage optimization, particularly in cases of
7 expected longer term use. This includes -- and we
8 have not mentioned that yet in our
9 reflections -- the use of patient-reported
10 outcomes. This is to ensure that when a PIP is
11 agreed, we're all clear on what data are needed to
12 inform identification of an optimal dosage and how
13 the totality of evidence can then be used by
14 progressively moving forward.

15 This is facilitated by using PIPs as a
16 living document, if you wish, providing continuous
17 regulatory support for evidence and duration based
18 on relevant data as the development progresses.

19 With that, I would like to hand over to my
20 colleague.

21 **Guest Speaker Presentation - Olga Kholmanskikh**

22 DR. KHOLMANSEKIKH: Thank you. Olga

1 Kholmanskikh, clinical assistant at the Belgium
2 Federal Agency for Medicines and Health Products,
3 member of the Oncology Working Party, and alternate
4 at the Committee of Advanced Therapies at the EMA.

5 The second part of the talk is dealing with
6 current approach and practical considerations for
7 potential dosage optimization as assessed at the
8 time of marketing authorization, but also along the
9 lifecycle of medicinal products. The factors, with
10 potential impact on pharmacokinetics and/or
11 pharmacodynamic variability, can be particular
12 phenotypic characteristics such as weight and
13 phenotypic translation of some genotype, such as
14 those for metabolized enzymes and their age-related
15 antigen. Other phenotypic characteristics of
16 potential relevance -- for example, for immune
17 oncology medicines -- in order to understand the
18 ontogeny of physiological processes, could be
19 qualitative or quantitative features of immune
20 cells.

21 As regard to more characteristics, the
22 genotypic makeup of pediatric tumors can be

1 substantially different from that of adult cancers
2 and manifest distinct prototypic characteristics.
3 These and many other factors, such as concomitant
4 exposure to other medicinal products, are to be
5 taken into account for dosage optimizations in
6 pediatric patients with cancer, while defining the
7 main sources of variability and translating this
8 into dosage recommendations.

9 Importantly, biomarkers can serve different
10 purposes during the dosage optimization process,
11 from determining sources of intra- and
12 inter-patient variability to defining more
13 homogeneous targeted patient populations selected
14 by a biomarker. The context dependency of dosage
15 optimization in terms of extent of development in
16 adult patients and maturity of dose
17 exposure-response relationships has been emphasized
18 by my colleague.

19 The case samples that are presented on the
20 next slides will only help to illustrate some
21 elements of approaches taken for specific medicinal
22 products at the time of marketing authorization for

1 dosage selection and optimization, including
2 postmarketing measures to address uncertainties.

3 This case example concerns one of
4 asparaginase formulations used within combination
5 regimens in pediatric and young adult patients with
6 acute lymphoblastic leukemia. The non-human origin
7 and the immunogenic characteristics of
8 pegaspargase, also due to PEG molecule and the
9 linker, can result in development of specific anti-
10 drug antibodies and hypersensitivity reactions with
11 inactivation of the drug in case of neutralizing
12 potential of such antibodies. Therefore, treatment
13 may be monitored by measuring the drug activity
14 necessary to asparagine depletion, according to its
15 mechanism of action.

16 Dose adjustments at suboptimal levels are
17 possible, as well as switch to another asparaginase
18 in case of silent inactivation. Investigation of
19 potential sources of high inter-patient variability
20 beyond body surface area described could lead to
21 further dosage optimization. Notably,
22 pharmacodynamic activity was assessed in pediatric

1 patients through several measurements of
2 asparaginase in sera and cerebrospinal fluid during
3 the development.

4 In this second example, for small molecule
5 kinase inhibitor, selumetinib, approved in
6 pediatric patients with neurofibromatosis type 1,
7 age 3 years and above, BSA-based dosing is also
8 used with [indiscernible] and function of available
9 strengths of capsules. BSA was shown to have
10 impact on pharmacokinetics in the population
11 pharmacokinetic model. Notably, one of the
12 initially agreed pediatric investigational planned
13 studies was subsequently used as a postmarketing
14 measure to evaluate pharmacokinetics of the
15 age-appropriate formulation in younger pediatric
16 patients and tolerability, especially at longer
17 term.

18 Biomarkers played a determinant role in
19 simultaneous development and approval in adult and
20 pediatric patients of another small molecule kinase
21 inhibitor, larotrectinib, approved regardless of
22 the tumor type. It's dosing is also based on BSA

1 with a defined maximum amount per dose. Population
2 pharmacokinetic analysis showed age-dependent
3 differences in systemic exposure, which is high in
4 younger patients. Associated uncertainties led to
5 a postmarketing commitment to collect more
6 pharmacokinetic data and to revise the dosing
7 recommendations, if needed.

8 Rule-based 3-plus-3 design considering
9 toxicity and defining maximum tolerated dose was
10 used for a dose-finding study of selpercatinib in
11 adult patients. While other types of data were
12 also considered, clear exposure-response
13 relationship could not be shown at the time of the
14 marketing authorization assessment.

15 Population pharmacokinetic analysis
16 determined dose and body weight as significant
17 covariates for pharmacokinetic variability,
18 resulting in cutoff of 50 kilograms for flat
19 dosing. It was developed in adolescents and adult
20 patients, considering the similarity of the disease
21 molecule of thyroid cancer and targeted to
22 biomarker-defined population. The ontogeny of

1 metabolized enzyme was allowed [indiscernible] to
2 consider adolescents as similar to adults in this
3 case.

4 The next three slides provide examples of
5 developments based on monoclonal antibodies
6 primarily to target antigens on the surface of
7 tumor cells, and in case of this specific
8 [indiscernible] engages on the surface of immune
9 cells. One of the earliest developments with
10 recent approval in pediatric lymphoma patients from
11 the age of 6 months is rituximab. It is
12 administered at the same BSA-based dosing as in
13 adults.

14 BSA had an impact on clearance and foreign
15 parameters similar to other monoclonal antibodies.
16 In this case, and considering the age range,
17 previously developed population PK model was
18 updated to include a maturation factor to the
19 constant clearance component to account for the
20 potential effect of the neonatal Fc receptor
21 variation with age and supported an extension to
22 the age group from 6 months to 3 years, despite the

1 lack of clinical PK data.

2 For a second example of bispecific T-cell
3 engager, blinatumomab, step-up dosing is used also
4 in pediatric patients aged 1 year or older with
5 refractory or relapsed acute lymphoblastic leukemia
6 to mitigate the risk of cytokine release syndrome.
7 In both indications, by line of therapy,
8 [indiscernible] stratifies patients with high body
9 weight for a fixed dose, while patients with lower
10 weight are dosed based on BSA, which was shown to
11 influence the PK in PopPK model.

12 The third example is a fixed-dose
13 combination of two monoclonal antibodies, nivolumab
14 and relatlimab, indicated in adolescents and adult
15 patients with melanoma. The flat dosing for each
16 antibody is established for adolescent patients
17 weighing at least 30 kilograms. Clearance and
18 volume of distribution in adolescents relative to
19 adults were estimated for nivolumab. With respect
20 to relatlimab, no adolescent PK data were
21 available, and PopPK simulations were performed.
22 In this situation of comparable pathophysiology

1 between age subsets, efficacy and safety data can
2 be extrapolated based on comparable exposure. The
3 infusion duration was shorter based on predicted
4 systemic exposures.

5 This was examples of only some aspects
6 related to dosage optimization, while it is
7 acknowledged that other aspects are also to be
8 considered when elucidating clinical pharmacology
9 of monoclonal antibody-based medicines
10 schematically presented here. These are also
11 relevant for more complex examples:
12 disease-specific antibodies, antibody drug
13 conjugates, and so forth.

14 Among the factors with potential impacts on
15 pharmacokinetics or pharmacodynamics in pediatric
16 patients are the previously mentioned. In one
17 example of variability is the neonatal Fc receptor
18 expression, potential differences in
19 target-mediated drug disposition; and immunological
20 mechanisms, just to cite some. For establishing
21 dose exposure-response relationships, this and
22 other factors need to be considered in a time-wise

1 manner as long as a potential impact to changes in
2 baseline patient and disease characteristics would
3 occur.

4 Establishment of reliable dose
5 exposure-response relationships is essential for
6 dosage selection and optimization, extrapolation,
7 and informing study designs, including optimal
8 sampling. It is well acknowledged that there will
9 be a degree of uncertainty in those exposure
10 relationships in pediatric patients of concern;
11 however, maximally leveraging the prior knowledge
12 is applicable, considering pediatric specifics
13 [indiscernible]; and applying pharmacometrics and
14 other relevant approaches, sequentially or in
15 combination, are clear additional opportunities to
16 ensure optimized dosage for pediatric patients with
17 cancer.

18 In conclusion, on key considerations,
19 context is essential, as well as ensuring that all
20 relevant evidence is used to inform early dosage
21 finding. Non-availability or limited applicability
22 of adult data is not an argument to delay pediatric

1 developments, in general, and timely dosage and
2 overall benefit-risk optimization. It is key to
3 ensure maximized data generation while ensuring
4 novel methodological approaches, confirming the
5 simulated dosages, and considering more expanded
6 inclusion of the patient voice in the totality of
7 data.

8 Early and continuous interactions with
9 regulators is key, supported through the
10 evolutionary, step-wise pediatric investigation
11 plan framework and use of mechanisms in place for
12 close collaboration between EMA and FDA.

13 Here is a selection of references provided
14 for further reading. We would like to acknowledge
15 colleagues in the European Regulatory Network that
16 contributed to this presentation. This is the end
17 of our presentation. Clarifying questions are
18 welcomed later on, and now I am pleased to hand
19 over to our next speaker, Dr. Elizabeth Fox. Thank
20 you.

21 **Guest Speaker Presentation - Elizabeth Fox**

22 DR. FOX: Good day, everyone, and thank you.

1 I'm Beth Fox, a pediatric oncologist at St. Jude
2 Children's Research Hospital. Thank you for the
3 opportunity to discuss the academic perspective on
4 dose and dose optimization for children with cancer
5 and drug development. I have nothing to disclose,
6 but I will discuss some off-label uses. This
7 presentation reflects my opinion based on the
8 referenced data available in the public domain, and
9 does not reflect the opinions of the institutions,
10 sponsors, cooperative groups or consortia with whom
11 I am affiliated.

12 I think we all agree that the unifying goal
13 of childhood cancer drug development is to improve
14 cure rates, and in the first panel, you can see
15 that we all know that the 5-year overall survival
16 for children with cancer has increased dramatically
17 since the 1960s and is currently over 86 percent.

18 We also have a need to diminish acute
19 toxicity. The middle panel is actually data from a
20 cooperative group -- rhabdomyosarcoma -- study in
21 newly diagnosed patients, and it states that about
22 75 percent of our patients tolerate

1 life-threatening or grade 4 toxicities during the
2 course of curative therapy. We would like to
3 diminish that acute toxicity, and very important as
4 we've heard today, eliminate delayed effects.

5 This is a graph from the Childhood Cancer
6 Survivorship study that was published by
7 Dr. Armstrong that looks at the cumulative
8 incidence of grade 3 to 5 chronic health conditions
9 in survivors in blue compared to their siblings in
10 gold. As you can see, by age 40, survivors of
11 childhood cancer have a 40 percent incidence of a
12 grade 3 to 5 chronic health condition.

13 As we know, each year in the United States,
14 there are nearly 16,000 children diagnosed with
15 cancer. The table here demonstrates the most
16 common childhood cancers, as well as the common age
17 of diagnosis, and this is a very important
18 consideration as we consider developing new drugs
19 for these children.

20 For example, acute lymphoblastic leukemia is
21 the most prevalent, with 20 percent of our
22 diagnoses each year in the United States, and this

1 most commonly occurs at the age range of less than
2 8 years. Brain tumors and CNS tumors, because they
3 have so many different histologies and account for
4 18 percent of the children diagnosed with cancer
5 each year, they occur over a very broad age range
6 throughout pediatrics, from 0 to 19 years. And to
7 point out neuroblastoma in the middle of the chart,
8 with about 5 percent of our children with cancer
9 being diagnosed each year, these occur in very
10 young children, less than the age of four. These
11 are important considerations as we consider not
12 only the rarity of childhood cancer, but the
13 populations at which they are diagnosed.

14 The objectives of my talk today are to
15 illustrate why rational dose determination is a
16 prerequisite for optimization in drug development
17 for infants, children, and adolescents with cancer,
18 and I would like to do this by showing some
19 examples of dosing methods for children, dose
20 determination, and the impact of formulations.

21 As we've heard this morning, the ontogeny of
22 childhood development impacts drug dosing in

1 children. We know that there is a dramatic weight
2 change. There's a 10-fold increase in weight from
3 birth to 10 years, from about 3 and a half
4 kilograms to 32 kilograms. In addition, there is
5 at least a 2-fold increase from age 10 years to
6 adulthood if you consider 32 kilograms to 70 kilos
7 and above. We know that weight is not literally
8 related to body surface area as we consider how to
9 dose children. Excretory organ growth rate is
10 proportional to body weight, and the greatest
11 changes in renal and hepatic function occur during
12 the first year of life.

13 Aspects of normal growth and development may
14 be inhibited by targeted anti-cancer agents, and
15 this is one of the things that we really consider
16 as we consider eliminating late effects. We cannot
17 measure late effects until we have survivors of
18 childhood cancer who have been treated with
19 targeted agents.

20 On this next slide, this really follows what
21 Dr. Karres was discussing in terms of comparison of
22 dosing methods, but I'll do this with a specific

1 example of a monoclonal antibody with an adult
2 recommended dose of 600 milligrams. I'll look at
3 each of the types of methods we use for basing our
4 doses, and I'm going to apply this to three
5 children in the far-right of the table, a
6 5 year old, a 6 year old, and a 12 year old.

7 For weight-based dosing, or
8 milligram-per-kilogram dosing, this is a continuous
9 variable, it's patient-specific, and it's easily
10 measured. The disadvantages of this method is we
11 often debate whether we should use actual versus
12 ideal weight and the impact of concomitant
13 medications such as dexamethasone on the weight of
14 a child. Weight also fluctuates. Particularly in
15 our smallest children, it can fluctuate day to day,
16 week to week. Most importantly, when we use
17 weight-based dosing, the constraints of oral
18 formulations are predominant and, importantly,
19 milligram-per-kilogram dosing is a systematic dose
20 reduction in infants, and the next slide will go
21 into that.

22 As you can see with weight-based dosing,

1 based on this monoclonal antibody with an adult
2 dose of 600 milligrams IV, these are the doses that
3 would be administered to the three example
4 patients. I want to compare that to what happens
5 if you use body surface area dosing or milligrams
6 per meter squared. This is, as well, a continuous
7 variable, it's patient-specific, and I think it's
8 really important that this is also in a limited
9 range. Body surface area in the smallest of
10 children is 0.23 meters squared, and goes up to
11 maybe a maximum of 2.5 meter squared in the largest
12 adult, and that is primarily because height is the
13 driver of body surface area.

14 Some disadvantages of this method are that
15 it does include calculations, and there are
16 multiple formulas to do it. In addition, similar
17 to weight-based dosing, there are constraints with
18 oral formulation, but I'd like you to take a moment
19 to compare what happens with the meter squared
20 dosing compared to the per kilo dosing for each of
21 the example patients.

22 Finally, we've heard a little bit about

1 age-based dosing. Age, as you know, is a
2 categorical variable, and it's very easily
3 measured. It's why acetaminophen is based on age
4 with the dosing so that parents can dose it in the
5 outpatient setting. However, age changes, as you
6 know, with your birthday, not with your physiology
7 or your ontogeny, and please look carefully at how
8 the doses in the 5 year old and 6 year old changed
9 dramatically, perhaps on your birthday, just
10 because of a day of the year on the calendar.

11 Finally, we heard a little bit about fixed
12 dosing, which is very convenient in adults, but it
13 doesn't account for this 10-fold weight change in
14 childhood, and as you can see is the least specific
15 for children. When we develop a drug, we think
16 first about the first step being this dosing
17 method, and we have to consider this and its impact
18 on optimization for the individual patient.

19 On the next slide, I'll show you some of the
20 dosing strategies that are used by the Children's
21 Oncology Group. First, we've spent a long time
22 developing dosing tables for many of our standard

1 cytotoxic drugs. The goals of this project were to
2 eliminate a quantum leap; that is, age or
3 weight-based thresholds for the transition from the
4 weight-based to the BSA-based dosing methods. What
5 we found was that over phase 2 and phase 3 COG
6 trials, there were more than 11 different
7 thresholds placed that included both age, weight,
8 and body surface area in these transitions, and
9 they were very different between different trials.

10 We also wanted to gradually reduce the
11 systemic dose reduction that is created by
12 milligrams per kilogram. So in the graph shown for
13 vincristine, I'll tell you what I mean. We have
14 access to data from 1500 children with Wilms tumor,
15 and we calculated their dose of the cytotoxic, in
16 this case vincristine, on the
17 milligram-per-kilogram dosing plan. That's the
18 upper line. We then used those same children and
19 calculated what their standard milligram-
20 per-kilogram dose would be. That's the lower line.
21 So when I say there's a systematic dose reduction
22 between these two methods, that's precisely what

1 I'm talking about, and that is an intentional dose
2 reduction because, as you may know, for cytotoxic
3 drugs, we've long preferred milligram-per-kilogram
4 dosing because of the toxicity we saw with
5 cytotoxic agents in the smallest children.

6 To develop the BSA-based table that you see
7 on the slide, what we did was we used linear
8 regression to determine the doses that would be
9 delivered, from a 0.2 meter squared child to a
10 0.6 meter squared child who were approximately
11 3 years of age, and we modeled those doses. The
12 dotted lines you see there are actually the most
13 important drivers of what those doses in the table
14 are, and it's the administered dose based on the
15 measurable volume of vincristine that you can pull
16 up into a syringe to deliver to a baby. So we've
17 developed these tables for many of the cytotoxic
18 drugs that we use, and we are currently validating
19 them with some PK studies.

20 The other strategies that we use are the use
21 of dosing nomograms for oral agents that are
22 standardized by body surface area bands, and this

1 permits adequate dose reductions, if necessary. It
2 also ensures that our dose levels in a dose
3 escalation trial are discrete. And finally, within
4 the COG, we generally study unlimited number of
5 dose levels for dose exploration.

6 We acknowledge the importance of
7 pharmacokinetics and pharmacokinetic modeling.
8 It's critical to understanding pediatric dose and
9 the tolerability of response. Extrapolation from
10 adult data, however, often neglects the dramatic
11 weight change during childhood, and I think this is
12 an area of caution if we were to only extrapolate
13 our doses based on adult data. Inclusion of
14 detailed pharmacokinetics from limited dose
15 escalation trials in children could validate the
16 assumptions of an extrapolated PK model.

17 Finally, categorical dosing methods will
18 increase the variability in exposure in children.
19 Determining a plateau of a dose response or dose
20 exposure curve, which we've heard a lot about this
21 morning, or thresholds to require these things,
22 these require responses. And to Dr. Glade Bender's

1 earlier question, when we do phase 2 trials, we
2 often have very small trials of 20 patients and see
3 3 to 4 responses. So when we do these types of
4 modeling based on response, the first criteria is
5 how many responses do we have.

6 On the next slide, I'd like to take you
7 through a current example. This is the trial that
8 was recently published by Dr. Bouffet. The goal of
9 this trial was to determine a monotherapy dose of
10 the MEK inhibitor trametinib and seamlessly and
11 rapidly move to a combination of trametinib and
12 dabrafenib.

13 The graph here, I will use to describe the
14 trial. It was dose escalation originally from
15 0.125 milligrams per kilogram per day of trametinib
16 to 0.25, and the other planned dose was
17 0.04 milligrams per kilogram. You can see, based
18 on this graph, that the average concentration of
19 trametinib was measured by age group, and you can
20 see that at the 0.125 lowest dose level, nobody
21 achieved the target exposure in adults, which was
22 12 nanograms per mL. In addition, you can see at

1 0.04, not only did we see toxicity, we saw
2 increases in this average concentration. There
3 was, however, at the 0.25, the youngest of patients
4 were right on the border of whether they were above
5 the target concentration; therefore, the study was
6 amended and a 0.023 milligram-per-kilogram dose was
7 added for the youngest patients.

8 What came out of this study was an age-based
9 dose recommendation for less than 2 year olds
10 receiving a higher milligram-per-kilogram dose
11 compared to children 2 to 17 years old, who got
12 0.25 milligrams per kilogram, and young adults
13 greater than 18 received the adult recommended dose
14 of 2 milligrams.

15 What was critically important to the conduct
16 of this study was the oral liquid formulation of
17 two available tablet sizes. But when we look
18 carefully at the pharmacokinetics, there's actually
19 no difference between the average concentration at
20 the 0.025 milligram per kilogram or 0.023
21 milligram-per-kilogram doses levels, and you can
22 compare that to the model data in adults that

1 included patients as young as 19 years of age.

2 So you may ask, is age-based dosing
3 necessary if we base according to size? On the
4 next slide is what the FDA actually published as
5 the label for trametinib dosing, and clearly the
6 FDA heard the question, is age-based dosing
7 necessary? So on the next slide you can see the
8 actual FDA label, and you can see that they have
9 prescribed this drug, trametinib, according to body
10 weight, and included separate tables for the oral
11 solution and the tablets.

12 Importantly, if you look at the
13 6 to 37 kilogram body weight tablet recommendation
14 of 1 milligram, and you compare it to the oral
15 liquid formulation in those dosing, you can see
16 that there's really only a 10 to 15 percent dose
17 excursion among the patients who got the oral
18 liquid compared to those who get the tablet.

19 On the next slide, I'll expand a little bit
20 on what Dr. Wessel was talking about for
21 crizotinib. This is the phase 1/2 trial of
22 crizotinib that was conducted by the Pediatric

1 Early Phase Clinical Trial Network and the
2 Children's Oncology Group. As we know, crizotinib
3 is an oral inhibitor of the anaplastic lymphoma
4 kinase. In adults, it's approved at a
5 250-milligram BID dose or 45 milligrams per meter
6 squared twice daily. We know that this drug is now
7 FDA approved at the recommended dose in children of
8 280 milligrams per meter squared.

9 So how did we get there? We got there, in
10 part, because of the preclinical evaluation of
11 crizotinib in neuroblastoma, which clearly
12 demonstrated that for certain mutations in ALK that
13 occurred in neuroblastoma, we were going to require
14 higher exposures to crizotinib in order to inhibit
15 ALK; and therefore, we conducted the phase 1 trial
16 in the middle panel.

17 Initially, this trial was based on four dose
18 levels, from 100 to 215 milligrams per meter
19 squared per dose, and we were able to achieve that
20 without exceeding the maximum tolerated dose.
21 Because of concerns that we were not achieving a
22 high enough concentration in patients with

1 neuroblastoma, we proceeded with two additional
2 dose levels, and ultimately exceeded the maximum
3 tolerated dose and determined the MTD at
4 280 milligrams per meter squared per dose twice
5 daily.

6 This very large phase 1/2 study offered the
7 opportunity to look at pharmacokinetics in a large
8 number of patients, as well as four separate
9 formulations. As you can see by this graph, which
10 is dose-normalized exposure, or AUC, as a function
11 of age, there really isn't any discernible
12 difference in the different formulations or
13 according to age; however, there is a lot of
14 variability.

15 In the next slide is the outcome and
16 responses that were seen in this study. I'm
17 actually going to start with the swimmers curve
18 with the pink lines for neuroblastoma. Crizotinib
19 is an active agent in a subset of 1275 ALK mutated
20 neuroblastoma at the top of that curve; however, it
21 had no activity in patients with neuroblastoma
22 harboring other hotspot ALK mutations or

1 amplifications.

2 Moving to the left of the slide are the
3 swimmers plots for acute anaplastic large-cell
4 lymphoma at 165 milligrams per meter squared or
5 280 milligrams per meter squared BID. You can see
6 that there are responses at both of those dose
7 levels, and we know that in patients with
8 inflammatory myofibroblastic tumor, toxicity and
9 response were similar across the dose range.

10 To the question that was posed about is
11 optimization of crizotinib's FDA approved dose
12 necessary in children with ALCL or IMT, we agree
13 that it is, and there has been a completed phase 2
14 study in patients with ALCL, where crizotinib was
15 combined with a backbone cytotoxic regimen, and we
16 used a 165 milligrams-per-meter-squared crizotinib
17 dose to do that.

18 So I think this example and this study
19 demonstrate that target exposure is target
20 dependent, and we need a better characterization of
21 what the targets are in pediatric cancers and do
22 they require the same exposures to be inhibited.

1 We've talked a lot today about pediatric
2 appropriate formulations, and on the next slide we
3 know that this includes bioavailability; and
4 relative bioavailability; taste; palatability; the
5 concentration or volume that the child would have
6 to take; stability; the preparation; and the
7 administration are all features of what an
8 appropriate pediatric formulation should include.
9 The graph is a study that was completed by Dr. Chuk
10 that looks at cabozantinib and looks at the
11 exposure of cabozantinib across this phase 1 study
12 that had three planned dose levels, 30, 40 and
13 55 milligrams per meter squared.

14 When we looked at the PK data for this, we
15 realize, you see, there is no difference in those
16 dose levels in the actual exposures in patients,
17 and we looked at the average daily dose
18 administered to the patients who had PK, and you
19 can see that there is very little difference in the
20 average daily dose. So when we plan dose
21 escalation trials, formulation can constrain us to
22 what we can actually do, and that impacts the

1 exposures. What's important about this trial is
2 that it did not define a maximum tolerated dose
3 because the MTD was not achieved; however, we did
4 declare a recommended dose, based on, as Dr. Wessel
5 indicated, we used the toxicity across all of the
6 cycles that the children received to determine what
7 the recommended dose was.

8 So the most important factor in dose
9 optimization is an appropriate formulation, and
10 that's demonstrated on the next slide with
11 larotrectinib and entrectinib. These are two NTRK
12 inhibitors, and we've heard about them already
13 today, so I won't go into detail. But as we know,
14 the larotrectinib study was biomarker enriched and
15 selected, and did not seek a maximum tolerated
16 dose, and ultimately determined the dose of
17 100 milligrams per meter squared twice daily with a
18 maximum of the adult dose 100 milligrams BID in
19 children. Responses were seen, and most
20 importantly, these studies were conducted from the
21 very first study to include an oral solution, and
22 this ultimately resulted in FDA approval in 2018

1 that was both age and histology agnostic.

2 In comparison, entrectinib, another NTRK RAS
3 inhibitor, was published by Dr. Desai in 2022.

4 This was designed very differently. It was
5 designed as an MTD study and did indeed push to the
6 MTD 550 milligrams per meter squared, which is much
7 higher than the adult recommended dose. Part of
8 the reason for doing this was because of the
9 capsule formulation restrictions, and ultimately,
10 entrectinib was FDA approved in 2019 for children
11 greater than or equal to 12 years of age with the
12 dosing seen here.

13 On the next slide, I'll summarize by saying
14 that maximum tolerated dose is not an appropriate
15 endpoint for many targeted agents; however,
16 variability and formulation impact the utility of
17 pharmacokinetics as an endpoint. Pharmacokinetics
18 and age-related toxicity data are essential
19 throughout the drug development timelines, and
20 accurate dosing across the age spectrum, limited
21 dose exploration, and detailed pharmacology are
22 needed for pharmacokinetic modeling in children.

1 In my opinion, dose optimization in children
2 requires drug-specific considerations, including
3 formulation, pharmacokinetics, variability, and
4 target exposure in pediatric cancer. Optimization
5 of doses in children requires defining the
6 dose-response relationship, not randomization of
7 doses. Clinical trials in children cannot wait for
8 optimized dosing in adults, and I was really
9 thrilled to hear the presentations by the FDA that
10 supported that position.

11 On my last slide, I'll just remind us that
12 there's only a quantitative difference between a
13 drug and a poison, and this is a slide that I've
14 used since I ran my very first trial way back in
15 the '90s of arsenic trioxide. So with that, thank
16 you very much, and I will like to ask Dr. Samuel
17 Blackman to present his slides and presentation.

18 **Guest Speaker Presentation - Samuel Blackman**

19 DR. BLACKMAN: Thank you, Dr. Fox, and thank
20 you to the organizers of the meeting of this
21 subcommittee for inviting me to share some
22 thoughts. My name is Sam Blackman. I'm a

1 pediatric oncologist and co-founder, and head of
2 Research and Development at Day One
3 Biopharmaceuticals.

4 I'd like to provide the perspective of a
5 pediatric oncologist and drug developer working in
6 the biopharmaceutical industry, and my goal here
7 today is to provide a perspective of some of the
8 forces that govern our approach to drug development
9 problems within biopharmaceuticals, and some of the
10 challenges that we're encountering. And in doing
11 so, I hope to offer perspective and some ideas to
12 help us all work efficiently together towards the
13 common goal of ensuring that we treat pediatric
14 patients with the right drug at the right dose.

15 Again, on my disclaimer here, I am speaking
16 as an individual, and my comments here reflect my
17 personal perspective on this topic, and the
18 publicly available data that I cite do not, in any
19 way shape or form, represent the views of Day One
20 Biopharmaceuticals. I also want to acknowledge the
21 fact that I'm not speaking on behalf of the entire
22 biopharmaceutical industry, but rather as an

1 individual working in the industry. My remarks are
2 not meant to represent every possible perspective.

3 It's ironic that while cancer therapeutic
4 development really started, in earnest, in the late
5 1940s and that combination development, also
6 starting in the late 1940s and early 1950s, really
7 started with pediatric oncology, that there remains
8 a significant gap between the start of clinical
9 trials in adults and the start of clinical trials
10 in children, and this is, of course, reflected in
11 the gap between the approval of new therapies for
12 adults and new therapies for children. And this is
13 despite the incentives and regulatory requirements
14 in both the United States and Europe, and the pace
15 of oncology drug development continues to lag
16 despite those incentives.

17 Not surprisingly, there are a lot of
18 causative factors here. Some of these are
19 structural within the ecosystem of drug
20 development; some of them are cultural within the
21 drug development ecosystem. And while there have
22 been an increasing number of motivating forces over

1 the years to promote pediatric drug development,
2 they are often counteracted by opposing forces that
3 generate friction in the system.

4 I want to note that none of these are a lack
5 of desire to see new therapies brought forward for
6 children -- I think we all agree that this is a
7 shared goal -- but within industry, there are
8 forces that shape the way that work is prioritized.
9 On the side of forces that motivate the development
10 of new therapeutics for children, of course there
11 are regulatory requirements such as pediatric
12 investigation plans and the RACE for Children Act
13 that require initial pediatric study plans.

14 There are, of course, internal champions
15 within industry and the advocacy community;
16 improvements in trial design; advances in modeling
17 and simulation; various regulatory incentives that
18 are offered; and, of course, the broad moral and
19 social imperative to make sure that we have new
20 therapies for children developed at the same pace
21 that we do for adults.

22 But of course on the frictional side are, of

1 course, cost; lack of resources in terms of
2 adequate preclinical models; a tendency towards
3 risk aversion within the biopharmaceutical
4 industry; within smaller companies, intense capital
5 demands; on the technical side, oftentimes
6 insufficient target validation early on in
7 pediatric diseases; a lag in terms of the
8 initiation of pediatric formulation development;
9 and of course, and I think most importantly, a
10 limited number of patients and the need to evaluate
11 patients across age ranges, which is something
12 that's been cited here.

13 I also want to highlight a couple of other
14 factors, including a herd effect within the
15 biopharmaceutical industry, limited system capacity
16 and, of course, the need for combination therapy in
17 many indications. So I'd like to dive into a few
18 of these topics a little more deeply.

19 Here on slide 5, I want to highlight the
20 fact that the landscape for innovation within the
21 biopharmaceutical industry has shifted more towards
22 smaller companies. A recent report from Bio shows

1 that 65 percent of new drugs approved so far in
2 2023 have come from small companies, but as you can
3 note here in the paragraph above, we're also
4 witnessing the cyclical nature of biotech
5 investing, and many small companies are limited in
6 their ability to access capital, either public or
7 private, to advance their pipelines. As a result,
8 pipelines are being thinned, and development
9 programs are often times focused on the work that
10 creates the value necessary to allow them to raise
11 funds to continue their efforts and ensure
12 sustainable businesses.

13 The second challenge that we face within the
14 biopharmaceutical industry results from our having
15 picked a lot of the low-hanging fruit. Many of the
16 druggable oncogenic kinases have already been
17 drugged, and the easier biological targets for
18 antibody drug conjugates, or monoclonal antibodies,
19 or cell therapies, have been addressed. As we've
20 moved on to harder biological problems or have
21 pursued novel chemical approaches such as targeted
22 protein degraders; ADCs for new cell surface

1 targets; computationally assisted identification of
2 [indiscernible] pockets on previous and druggable
3 proteins, all of these are riskier areas of drug
4 development, and as everyone knows, the failure
5 rates in drug development are high.

6 What we're seeing here, particularly in the
7 upper left-hand corner, is a reversal of the trend
8 that we saw in the first half of the 2010s, where
9 the success rates for oncology drug development,
10 from phase 1 through new drug application
11 submission, went from less than 10 percent to over
12 20 percent around 2016. As you can see, we've now
13 seen success rates drop, and we're seeing
14 early-phase and late-phase failure rates increase.

15 Another challenge, particularly for
16 pediatric oncology and for small companies, is the
17 herd effect that we've seen over the past 10 years,
18 where the number of assets for a given drug target
19 has skyrocketed. As a result, targets that are
20 relevant to pediatric oncology indications, many of
21 which in this era of precision medicine are subsets
22 of already small disease populations, will have

1 multiple agents that require testing in pediatric
2 populations.

3 As noted on the Y-axis here, the two major
4 pieces of legislation that added requirements for
5 pediatric development pre-dated this inflection
6 point, and despite the efforts of cross-stakeholder
7 discussions, such as the accelerated pediatric
8 strategy forums, prioritization remains a challenge
9 for the pediatric oncology ecosystem. As the
10 trial-eligible population becomes smaller, the time
11 that it takes to accrue patients increases,
12 especially given competition with cooperative group
13 trials and investigator-sponsored research.

14 While it may be unusual to talk about the
15 cost of clinical development, given that the
16 majority of new molecules are being developed by
17 smaller biotech companies, I believe that it is
18 relevant to discuss the development cost for
19 industry, particularly in an environment where
20 investment capital may be constrained. In talking
21 to my colleagues in the pediatric oncology field
22 outside of industry, many are surprised by the high

1 cost and number of cost drivers for
2 industry-sponsored research.

3 Current per patient trial costs are
4 typically budgeted in the \$200[000] to \$250,000 per
5 patient range and are driven by a variety of
6 factors. Especially for small companies, given the
7 high cost and competing priorities required to
8 achieve sustainability, there is often times a
9 business need to defer investment in pediatric drug
10 development until there's a high confidence that
11 the lead indication has a path to proof of concept
12 or registration, as these are often the catalysts
13 that allow for capital formation and sustainability
14 of drug development efforts.

15 I highlight here some of the unseen costs
16 within drug development, including and beyond
17 internal resources, the dependence on contract
18 research organizations for clinical trials conduct
19 and imaging assessment; data management; ongoing
20 pharmacovigilance; individual site costs; and
21 external lab costs, as well as formulation
22 development.

1 I also believe that it's relevant to
2 indicate that the broader landscape for
3 industry-sponsored drug development continues to
4 evolve as the country grapples with the best way to
5 address the cost of prescription drugs. The
6 recently passed Inflation Reduction Act has shifted
7 the exclusivity period for small molecules, and in
8 doing so, appears to disincentivize small molecule
9 development.

10 Small molecule medicines are disadvantaged
11 by the law by allowing Medicare to negotiate prices
12 after just 9 years compared with the 13 years
13 afforded to large molecules or biologic treatments.
14 And it's important to note that the vast majority
15 of oncology drug development is really spurred by
16 the pursuit of targets that are relevant to adult
17 indication and, of course, then backing into
18 pediatric development for targets that are relevant
19 to pediatric cancers.

20 The IRA clock starts running after the first
21 approval, so the strategy of pursuing approval in
22 smaller indications first -- for example, smaller

1 biomarker-defined subsets -- is potentially
2 disfavored. As such, there may be less of an
3 incentive to pursue a pediatric indication as the
4 lead indication with which to file, even if it's
5 available. For many small biotech companies,
6 limitations to a return on investment for small
7 molecules and rare indications may make it more
8 difficult to raise capital and could shift
9 development focus to larger indications and/or
10 biologics.

11 On slide 10, I'd like to highlight existing
12 challenges in determining the best time for
13 companies to start investing in pediatric dose
14 confirmation and dose optimization studies. This
15 very simple schema attempts to highlight some of
16 the questions that are asked internally as programs
17 move forward in development for adults. Proof of
18 concept, oftentimes the catalyst for further
19 investment internally, is not a fixed milestone.
20 Depending on the target, the disease, and the
21 endpoint, proof of concept -- which some define as
22 proof that the therapeutic intervention is likely

1 to convert clinical benefits to patients, and it
2 may include but sometimes goes beyond just changing
3 a surrogate endpoint -- may occur early in phase 1
4 or may occur late in phase 2, either single-arm or
5 randomized phase 2 studies.

6 Companies have been historically reticent to
7 start pediatric phase 1 without adult proof of
8 concept despite legislation requesting, if not
9 requiring, pediatric drug development efforts to
10 start the adult at end of phase 1. There is
11 historically a low incentive to invest in pediatric
12 formulation development before adult proof of
13 concept due to a high risk of program failure.

14 Finally, some of the infrastructure that's
15 needed for pediatric dose optimization may not be
16 fully in place until later in development, such as
17 pediatric-specific pharmacodynamic biomarker
18 development if you're pursuing a different
19 indication than the lead indication in adults;
20 adequate size population PK models; identification
21 of pediatric responder subpopulations; and
22 sufficient anti-tumor activity to enable

1 exposure-response modeling.

2 Despite these challenges, as a pediatric
3 oncologist and drug developer, I absolutely agree
4 with the premise outlined by Dr. Donoghue at the
5 start of the meeting. It is essential for us to
6 give the right drug, to the right patient, at the
7 right dose. But as Dr. Fox highlighted in the last
8 talk, dose optimization is important to maximize
9 efficacy and maximize both short-term and long-term
10 safety, and this requires an understanding of the
11 dose-response relationship, as well as the dose
12 toxicity relationship; and some of these
13 relationships are not clear early in development
14 and require larger or longer studies.

15 I also want to highlight some additional
16 aspects of pediatric oncology drug development that
17 are different compared to adults. Some of the
18 translational models for pediatric cancers are far
19 less abundant, making preclinical dose optimization
20 more of a challenge. Obviously, obtaining samples
21 for pharmacodynamic biomarkers is potentially
22 easier in adults compared to children. Liquid

1 biopsies may be useful for hematologic malignancies
2 or some solid tumors, but may be insufficient for
3 brain tumors, which is, as was noted at the
4 beginning of Dr. Fox's presentation, the most
5 common solid tumor of childhood.

6 In addition to broad population recommended
7 for dose optimization studies in adults, it would
8 need to be even broader for pediatric oncology,
9 owing to differences in age and development, and
10 optimizing dose for near-term safety and
11 tolerability in advance of adult cancers makes
12 sense, but safety in children is far more than just
13 near term and requires long-term follow-up.

14 Then, of course, there's the problem of
15 combinations. Some new therapies developed for
16 children will be adjuncts to combinations with
17 existing chemotherapy backbone, so monotherapy dose
18 optimization early in development may not be the
19 most efficient place to invest the required time
20 and effort.

21 So I believe that dose optimization for
22 pediatric oncology is not a question of if, and I

1 think everybody here will agree to that point, but
2 may be one of when. The maximum tolerated dose may
3 or may not be the optimal dose for targeted
4 therapies. And dose optimization is certainly
5 important to maximize efficacy and maximize both
6 short-term and long-term safety, but there are a
7 variety of factors that needed to be taken into
8 account. And I would argue that all of these
9 points argue for an approach to pediatric dose
10 optimization that doesn't see approval as the
11 deadline for these efforts but rather as a
12 milestone or benchmark, especially given the time
13 and cost pressure on drug development and
14 activities.

15 I'd like to offer on the next few slides
16 some potential solutions for finding an efficient
17 way to pursue dose optimization that meets the
18 needs, obviously, of patients, but all the other
19 stakeholders involved in this industry. On
20 slide 13, I highlight the need to remove the
21 illusion of precision from small cohorts,
22 particularly in the absence of a pharmacodynamic

1 biomarker or if there isn't sufficient efficacy
2 data upon which to base a choice of the optimal
3 dose.

4 It is possible to collect far more extensive
5 pediatric PK data over the longer time frame of
6 late-stage pediatric development or lifecycle
7 management, utilizing modeling and simulation, and
8 extrapolation where possible, and also learning
9 from adult PK/PD, PK efficacy, and PK safety
10 relationships. I would ask the question, is
11 randomizing an additional 6 to 12 pediatric
12 patients of various ages or sizes between two dose
13 levels, either pre or post proof of concept, going
14 to lead to an optimal dose if there isn't a
15 reliable pharmacodynamic biomarker or efficacy data
16 upon which to base a choice? And I do believe that
17 extensive collection of pediatric PK data over the
18 longer time frame of pediatric development may be
19 the more efficient and precise approach.

20 I'll also argue that it is possible to relax
21 time pressure. Postmarketing commitments such as
22 the one employed for crizotinib are taken seriously

1 by sponsors. Moreover, pediatric oncologists have
2 a long and illustrious history of pursuing dose
3 optimization in combination development. To
4 achieve more rapid development for pediatric
5 populations, it may be necessary to accept that the
6 initial dose optimization is based on near-term
7 safety, while additional work, including
8 optimization for efficacy or long-term safety, may
9 come later post-approval.

10 If there is a path to approval in a
11 pediatric indication, I think it's worth asking
12 whether or not delaying review of a file for an
13 active drug for pediatric indication, due to lack
14 of pediatric dose optimization at the time of
15 approval, whether or not that's actually going to
16 benefit patients, particularly in indications where
17 patients are harder to find. Can we make
18 optimization a postmarketing commitment linked to
19 confirmatory trials, or to collection and
20 submission of long-term follow-up data, by
21 including PK data?

22 Finally, I'd like to highlight the benefits

1 of using existing incentives and a couple of
2 creative ways of collaborating. Can we link dose
3 optimization to pediatric exclusivity through
4 pediatric preclinical study requests and pediatric
5 investigation plans? I believe that Dr. Karres
6 highlighted the inclusion of dose optimizations as
7 part of PIP in some of the examples that were
8 presented.

9 I believe that we can work together to
10 collect PK and post-approval studies or as part of
11 registries for long-term safety studies. I think
12 it's worth asking whether or not companies can or
13 should fund the transfer of validated PK assays to
14 central locations, such as the NCI and CTEP, to
15 ensure that they are available for long-term PK
16 data collection and dose optimization studies.

17 Finally, I would argue that, as Dr. Karres
18 reminded us, PIPs are considered living documents
19 because the time course of pediatric development is
20 long, and we learn many new things along the way.
21 When I was constructing this presentation, I asked
22 myself can labeling for pediatric uses be seen in

1 the same way? And I found a quote from Dr. Pazdur,
2 who noted that a drug label, quote, "is a living
3 document." Many people have the misconception that
4 the history of the drug ends with the approval of
5 the drug. Really, that is just the beginning, we
6 have to keep in mind, and also have a process of
7 updating these labels.

8 I hope that my remarks have left you with
9 the message that those of us working in pediatric
10 oncology within industry agree that dose
11 optimization is essential for all cancer therapies,
12 especially for children for whom late effects and
13 long-term toxicities remain a major source of
14 lifetime morbidity and mortality.

15 I hope that my comments indicate that, for
16 me at least, the point for discussion is not a
17 matter of if, but when to require dose
18 optimization. The challenges existing within the
19 drug development ecosystem are significant, and
20 particularly for small companies who have multiple
21 competing demands and challenges beyond the
22 scientific, these needs should be taken into

1 consideration.

2 I'd like to close with a quote often
3 attributed to Voltaire, but one that, in fact, he
4 attributes to someone else, and one that I think is
5 always important to bear in mind for the remarkably
6 complex endeavor that is new therapeutic
7 development, "Dit que le mieux est l'ennemi du
8 bien. Our children deserve the best, but let us
9 not sacrifice the good along the way in our quest."
10 Thank you for your attention.

11 **Clarifying Questions**

12 DR. PAPPO: I would like to thank our
13 speakers, Dr. Karres, Dr. Kholmanskikh, Dr. Fox,
14 and Dr. Blackman, for their excellent
15 presentations.

16 We will now take clarifying questions for
17 the guest speakers. We have about 10 minutes.
18 Please use the raise-hand icon to indicate that you
19 have questions, and remember to lower your hand by
20 clicking the raise-hand again after you have asked
21 your question. When acknowledged, please remember
22 to state your name for the record before you speak

1 and direct your question specifically to the
2 presenter, if you can. If you wish for a specific
3 slide to be displayed, please let us know the slide
4 number, if possible.

5 Finally, it would be very helpful to
6 acknowledge the end of your question with a thank
7 you, and end of your follow-up question with, "That
8 is all for my questions," so we can move on to the
9 next panel member.

10 The first person is Dr. Edward.

11 DR. KOLB: Thank you, Dr. Pappo. My
12 question is for Dr. Blackman.

13 I was struck by your talk, and when we
14 consider the threats to drug development in
15 children, I don't put dose optimization at the top.
16 I put the pace of drug development clearly at the
17 top. And as you pointed out, the RACE Act and PIP
18 incentives for companies are an incentive for us to
19 develop drugs early, and then the financial forces
20 of drug regulations are counterforces. I think
21 that was an excellent point. We see that reality
22 play out every day.

1 None of us are suggesting that optimization
2 isn't optimal, but as you quoted at the end,
3 optimization may be the enemy of good, and
4 sometimes we just need good. Sometimes we just
5 needed a dose to confirm an efficacy signal because
6 there's no point in optimizing the dose of an
7 ineffective therapy. We need a dose to move
8 forward.

9 So what I see here as a result of these
10 regulations, of Project Optimus especially, if
11 applied aggressively, are unintended consequences
12 that are going to further delay drug development in
13 kids. From the perspective of the small biotech,
14 what do you think are the important metrics we
15 should be looking at along the way to measure those
16 unintended consequences? Thank you.

17 DR. BLACKMAN: Well, thanks for the
18 question, Dr. Kolb. I think at the end of the day,
19 it's important for me that we continue to monitor
20 the gap in the initiation of clinical development
21 in children relative to the initiation of clinical
22 development in adults. This was highlighted, of

1 course, by the paper where Dr. DuBois was the
2 senior author, and it's something that I cite very
3 frequently because that median, of course, it's
4 just that, a median. And you saw on that bar graph
5 from his paper, a vast number of programs where
6 there was a gap of a decade or more between the
7 start of pediatric phase 1.

8 I think for us, a metric of success in
9 pediatric drug development is really closing that
10 gap and trying to get to what, really, the European
11 Medicines Association pointed out, I think, very
12 early in the pediatric rules that they brought
13 forth; that pediatric development should start as
14 soon as possible at the end of adult development.

15 I firmly believe that that is something that
16 we all need to adhere to, but I think in instances
17 where you do see an efficacy signal and you do have
18 the potential to move something forward at pace and
19 close the gap between approval that exists between
20 adults and pediatric patients, we should try to
21 take advantage of that and build in commitments to
22 allow for additional work for optimization later,

1 particularly as it pertains to long-term toxicity.
2 But for me, closing that gap between first-in-adult
3 and first-in-children is, really, probably "the"
4 most important metric.

5 DR. KOLB: Thank you.

6 DR. PAPPO: Just a reminder to briefly state
7 your name for the record when you're asking a
8 question and also to turn off your camera if you're
9 currently not asking a question.

10 The next question goes to Dr. Steve DuBois.

11 DR. DuBOIS: Steve DuBois, Dana-Farber/
12 Boston Children's. I have a question for Dr. Fox.
13 I really appreciate you highlighting the
14 formulation issue, which is a rather nuanced issue
15 in terms of we think of formulation as a
16 kid-friendly formulation for children who can't
17 swallow pills, but it really goes a little bit
18 deeper than that even, in terms of how finely we
19 can dose tablet or capsule forms for children who
20 can swallow pills. And as you're well aware, a
21 number of our pediatric early-phase clinical trials
22 have a dosing nomogram that calls for maybe 2 pills

1 on Monday, Wednesday, Friday and one pill on the
2 rest of the week.

3 I always worry that if we do PK on that day
4 where they're taking the higher dose, we're sort of
5 overestimating, and if we're doing PK on the day
6 where they're only taking one pill, we're sort of
7 underestimating their PK exposure. So I wonder if
8 you have thoughts or suggestions on how to deal
9 with that, aside from having ways to more precisely
10 dose our young patients.

11 DR. FOX: I agree that formulation,
12 obviously from my talk, is one of the things I
13 think about a great deal, and your point about when
14 we do pharmacokinetics actually links to what
15 Dr. Blackman was talking about regarding continuing
16 pharmacokinetic evaluation throughout many of the
17 different trials that we may do.

18 One of the efforts that we try to do in many
19 trials, particularly orally administered drugs with
20 long half-lives, is to abandon first-dose PK and do
21 steady-state pharmacokinetics, where we're likely
22 to be able to collect over the dosing interval six

1 or so samples and get a very good estimate of what
2 the exposure is at steady state. From an
3 individual patient perspective, that's what really
4 matters, is can we achieve that exposure?

5 I think your point is very well taken in
6 that that consideration can't be done after we
7 collect all the samples, and these are very
8 precious samples. So I do think we need to be very
9 careful as we consider when we do the sampling, how
10 much sampling, and what is it going to reflect,
11 because at this time, we fully recognize that oral
12 liquid formulations that are palatable would be
13 lovely because we can get precise dosing. We also
14 understand the amount of investment that takes, and
15 to Dr. Blackman's point, in a small biotech, that
16 may not be feasible. And quite reasonably, if we
17 don't know a drug can move forward, that investment
18 may not be wisely placed. Thank you.

19 DR. DuBOIS: Thank you, Dr. Fox. Nothing
20 further for me.

21 DR. PAPPO: I have a quick question for
22 Dr. Fox also. I liked your slide very much about

1 also looking at the context of the disease and how
2 we decide what is the optimal dose. For example,
3 with neuroblastoma versus the IMT and the ALCL on
4 this, it goes back to the melanoma, for example,
5 with pembro and nivo. If you look at the dosing
6 recommendations for pembro, this will go to 2 per
7 kilo for more than 12 years of age, but you may be
8 underdosing some patients because the maximum dose
9 is 200. When you go to nivo, they say everybody
10 above 12 gets whatever the dose is, 480 or whatever
11 it is.

12 How did those decisions come about, and is
13 there an advantage of starting with a fixed dose in
14 certain populations in which you have the same
15 disease entity that you see in adults, and to
16 expedite the development of these novel therapies
17 in pediatrics?

18 DR. FOX: I agree that in certain
19 situations, fixed dosing for patients over the age
20 of 12 may be very appropriate and collection of
21 pharmacokinetics to ensure that we are where we
22 think we are. To the point about targets and

1 getting to the right target concentration, I think
2 this is really important, particularly as many of
3 our drugs for children with cancer are in some ways
4 repurposed, by the targets are not quite the same.
5 That's clear from crizotinib; you're pointing it
6 out for melanoma. These are the types of things
7 that we have to be very careful to clearly
8 describe, and to the extent possible, put
9 preclinical data around to help support dosing
10 decisions.

11 I will also point out to my point, that any
12 time you do dose per weight, you are likely having
13 a systematic dose reduction, which is weighted
14 toward that dose reduction in the smallest of
15 people. We saw that with trametinib. We see it
16 consistently when you compare per kilo dosing to
17 per meter squared dosing. And I'd love to be in a
18 position to be able to look at comparisons of what
19 would happen if we did meter squared dosing instead
20 of per kilo dosing. Thank you.

21 DR. PAPPO: Thank you very much. No further
22 questions.

1 Donna Ludwinski is next.

2 MS. LUDWINSKI: Thank you. Donna Ludwinski,
3 patient representative.

4 I just wanted to ask you, Dr. Fox, to
5 comment on any additional challenges that you think
6 are relevant to this conversation about CNS tumors.
7 I noticed in the draft guidance there wasn't
8 anything specific about brain tumors and what other
9 considerations there might be.

10 DR. FOX: Yes. There are definitely
11 considerations when we're considering children with
12 brain tumors, which, as Dr. Blackman reinforced, is
13 the second most common cancer in children in the
14 United States and the leading solid tumor in the
15 United States. Obviously, and as most on this call
16 are aware of, the determination of the penetrants,
17 or the likely penetrants, of the drug into the
18 tumor is really important, the blood-brain barrier
19 as it's called.

20 The other considerations on the practical
21 front are do we actually know what the targets are?
22 Are we in a position to increasingly biopsy

1 patients to determine if they have the targets of
2 interest for specific drugs? And, really, dosing
3 in children with brain tumors, I use the example of
4 concomitant medications, dexamethasone, that was
5 specific for many of our children with brain
6 tumors, where their body weight and their lean body
7 mass are completely disconnected because of
8 long-term use of corticosteroids. Those are some
9 of the issues.

10 Other issues include issues with swallowing
11 and being able not only to have a liquid
12 formulation, but a liquid formulation that has been
13 [inaudible - audio gap] with an NG tube often is
14 necessary in that population. Thank you.

15 MS. LUDWINSKI: Thank you. That's all.

16 DR. PAPPO: We have time for a final
17 question.

18 Dr. Yoram Unguru?

19 DR. UNGURU: Thank you. Yoram Unguru,
20 Children's Hospital at Sinai and Johns Hopkins
21 Berman Institute of Bioethics. My question is for
22 Dr. Blackman.

1 Thank you for your presentation. Thank you
2 for raising issues related to cost and risk. Your
3 last couple of slides, Dr. Blackman, you mentioned
4 the illusion of precision and how this relates to
5 safety and risk. I wonder if the assumption is
6 that we leave well enough alone and we don't strive
7 for that higher bar, recognizing what some of the
8 issues are. How do you envision, actually,
9 achieving this in practice? And specifically, what
10 role do you see for the disease community, and
11 patients, and patient-centered kind of views, if
12 you will? Thank you.

13 DR. BLACKMAN: Thank you for the question.
14 It's a very thoughtful question. I want to, again,
15 just highlight, I think that we absolutely should
16 strive for the bar, but I think it's a matter of,
17 again, how do we prioritize our efforts? It's
18 balancing on one hand the potential of moving
19 something forward to approval and getting patients
20 to have access, even if it may not be perfect in
21 terms of our knowledge and understanding around
22 dose versus spending time, and effort, and

1 resources, and maybe not being in a position to
2 move something through to approval until it's
3 perfect, and I do believe that there's a third way.

4 I think that it's essential, both within
5 industry and certainly for the forces that regulate
6 industry -- recognizing that targeted therapies may
7 be administered to patients over a long period of
8 time and may have an impact on development -- to
9 hold our collective feet to the fire in terms of
10 collecting post-approval to try to understand the
11 long-term outcomes of these drugs in development.

12 I think that as long as those studies are going to
13 be done, they should be funded appropriately,
14 executed appropriately, and the data should be made
15 available.

16 I think that part of that can include
17 getting sparse PK data and obviously knowing when
18 to sample based on the work done during
19 development, but collecting that data over time and
20 being able to correlate that data with long-term
21 side effects, and certainly with efficacy, and
22 beyond just surrogates for efficacy such as

1 response rate, but really begin to think about
2 things like correlating dose to things like longer
3 term assessments such as time-dependent
4 measurements like overall survival or
5 progression-free survival.

6 What's really essential, I think, in order
7 to achieve that is making sure that patients,
8 post-approval, are enrolled on registry trials, and
9 that that's something not just requested by or
10 expected by the broader pediatric oncology
11 community but also supported. Patients should be
12 enrolled. I think another, of course, essential
13 component of this is centralization of that data
14 and making sure that we do have a way of capturing
15 that data across centers, and that it's not siloed
16 in single institution studies or small cooperative
17 group studies but really represents an effort to
18 capture data around how that drug performs in
19 children; what the long-term safety outcomes are;
20 what the relationship is between exposure and
21 efficacy or exposure in long-term toxicity, and it
22 really is going to take a community effort there.

1 But again, I think the agencies that
2 regulate our industry should ask for long-term
3 follow-up studies. They typically do, but tying
4 that to an assessment of PK, and PK and safety, and
5 PK and efficacy is a marginal cost on that,
6 provided, of course, we have those PK assays
7 available for a longer time frame and that people
8 enroll on those registry studies.

9 DR. UNGURU: Thank you, Dr. Blackman. I
10 have no additional questions.

11 DR. PAPPO: Thank you very much.

12 I want to thank all of our speakers, both
13 our guest speakers and the FDA, for their excellent
14 presentations. I'm sure that we're going to be
15 reaching out to you again after the OPH session for
16 additional clarifying questions.

17 We will now break for lunch. It is
18 12:43 p.m. Eastern Standard Time. We will
19 reconvene at 1:15. Panel members, please remember
20 that there should be no chatting or discussion of
21 the meeting topics with other panel members during
22 the lunch break. Additionally, you should plan to

1 reconvene at around 1:05 p.m. to ensure you are
2 connected before we restart at 1:15 p.m. Thank you
3 very much, and enjoy your lunch.

4 (Whereupon, at 12:43 p.m., a lunch recess was
5 taken, and meeting resumed at 1:15 p.m.)

6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

1 A F T E R N O O N S E S S I O N

2 (1:15 p.m.)

3 **Open Public Hearing**

4 DR. PAPPO: Welcome back. I hope you
5 enjoyed your lunch. We will now begin the open
6 public hearing session.

7 Both the FDA and the public believe in a
8 transparent process for information gathering and
9 decision making. To ensure such transparency at
10 the open public hearing session of the advisory
11 committee meeting, the FDA believes that it is
12 important to understand the context of an
13 individual's presentation.

14 For this reason, the FDA encourages you, the
15 open public hearing speaker, at the beginning of
16 your written or oral statement to advise the
17 committee of any financial relationships that you
18 may have with the applicant, its product, and if
19 known, its direct competitors. For example, this
20 financial information may include the applicant's
21 payment of your travel, lodging, or other expenses
22 in connection with your participation in this

1 meeting.

2 Likewise, the FDA encourages you, at the
3 beginning of your statement, to advise the
4 committee if you do not have any such financial
5 relationships. If you choose not to address this
6 issue of financial relationships at the beginning
7 of your statement, it will not preclude you from
8 speaking.

9 The FDA and this committee place great
10 importance in the open public hearing process. The
11 insights and comments provided can help the agency
12 and this committee in their consideration of the
13 issues before them. That said, in many instances
14 and for many topics, there will be a variety of
15 opinions. One of our goals for today is for the
16 open public hearing to be conducted in a fair and
17 open way, where every participant is listened to
18 carefully and treated with dignity, courtesy, and
19 respect. Therefore, please speak only when
20 recognized by the chairperson. Thank you for your
21 cooperation.

22 Speaker number 1, please unmute and turn on

1 your webcam. Will speaker number 1 begin and
2 introduce yourself? Please state your name and any
3 organization you are representing, for the record.
4 You have five minutes.

5 MS. PHILLIPS: Hi. My name is Sophia
6 Phillips, and I'm a health policy associate
7 speaking on behalf of the National Center for
8 Health Research. Our non-profit think-tank
9 scrutinizes research on the safety and
10 effectiveness of medical products, and we do not
11 accept funding from companies that make those
12 products; therefore, we have no conflicts of
13 interest.

14 We strongly support the safety and
15 tolerability specifications identified in the
16 January 2023 draft guidance included in the FDA
17 briefing document. It is essential that duration
18 of exposure, dosage interruptions, and adverse
19 events be compared across varying dosages. We
20 agree that safety monitoring rules should be
21 prespecified for trial designs. We also agree that
22 adverse reactions, even those considered less

1 severe, should be carefully assessed and considered
2 when selecting the dosage for follow-up clinical
3 trials.

4 We also strongly support the statement that
5 population pharmacokinetics, or PK, data should be
6 evaluated to identify specific populations; for
7 example, defined based on weight; age; sex; race
8 and ethnicity; or organ impairment, in which the PK
9 demonstrates clinically meaningful differences in
10 exposure. However, the September 2022 Guidance on
11 General Pharmacology Considerations provided a more
12 detailed list of important variables to consider
13 such as calculated BMI; gestational age; postnatal
14 age for neonates; and laboratory tests, reflecting
15 the function of organs responsible for drug
16 elimination. We urge that these be added to the
17 new guidance on dosing.

18 It is also essential that these variables
19 not only be evaluated separately; instead, relevant
20 covariants should be incorporated into analyses to
21 identify potential differences in safety or
22 effectiveness for relevant subpopulations. For

1 example, within an age group, there may need to be
2 different dosages due to weight, sex, age, race, or
3 ethnicity. While this does not require a
4 substantial number of patients representing these
5 different groups to be enrolled in a study, it is
6 better to do that prior to a drug approval of
7 particular doses than to find out the dosages are
8 too high or too low for some groups years after the
9 product is approved.

10 The proper use of covariants and phenotype
11 data was clearly expressed in the September 2022
12 FDA Guidance on General Clinical Pharmacology
13 Considerations. Unfortunately, it was not included
14 in the January 2023 guidance specific to dosage
15 optimization, and it should be added to that
16 guidance as well. Thank you.

17 **Clarifying Questions (continued)**

18 DR. PAPPO: Thank you very much.

19 I believe we only have one speaker;
20 therefore, the open public hearing portion of this
21 meeting has now concluded, and we will no longer
22 take comments from the audience.

1 We have quite a bit of time to have some
2 additional clarifying questions. We still have all
3 of our speakers here, so please use the raise-hand
4 icon to indicate that you have a question, and
5 remember to lower your hand by clicking the
6 raise-hand icon again after you have asked your
7 question. When acknowledged, please remember to
8 state your name for the record before you speak and
9 direct your question to a specific presenter, if
10 you can. If you wish for a specific slide to be
11 displayed, please let us know the slide number, if
12 possible.

13 Finally, it would be helpful to acknowledge
14 the end of your question with a thank you and the
15 end of your follow-up question with, "That is all
16 for my questions," so we can move on to the next
17 panel member.

18 Dr. Frank Balis will be the next person to
19 ask a question.

20 DR. BALIS: Thank you, Dr. Pappo. This is
21 Frank Balis. I had two things to raise. One is a
22 follow-up on Dr. Glade Bender's question about

1 therapeutic drug monitoring in dose-finding
2 studies.

3 Obviously, in doing therapeutic drug
4 monitoring, there's an implication that there's a
5 target range that you want to achieve, which we
6 would rarely have in the setting of a dose-finding
7 study and, in fact, we've been using drugs to treat
8 cancer for many decades, and there are few
9 exceptions of drugs that we actually have defined a
10 therapeutic or non-toxic range for in order to
11 apply therapeutic drug monitoring to their use.

12 That being said, one of the options
13 potentially for doing this is looking at
14 preclinical studies and concentration ranges that
15 were effective in models. This is obviously a
16 risky prospect to do because there are things that
17 occur in the patient, i.e., protein binding, that
18 we don't account for when we measure drugs. We
19 generally don't measure pre-drug concentrations, so
20 there's a risk that that could put us in the wrong
21 dosage range.

22 A number of decades ago, there was a

1 short-lived attempt to do drug concentration
2 escalations in a phase 1 study; that is, patients
3 had their doses adjusted to achieve a specific
4 concentration narrow range, and then that
5 concentration range was subsequently escalated in
6 groups of patients; short-lived I think, in part,
7 because of the technical issues in doing it. But
8 if we use that approach, we actually might even be
9 able to define a therapeutic range for subsequent
10 therapeutic drug monitoring. So it's an idea that
11 has been around for a long time, and one that we
12 might want to revisit in terms of its potential
13 utility.

14 What it does do when you do this type of an
15 escalation is essentially to limit the amount of
16 intra-patient variability, which is a particularly
17 big problem in pediatrics because of the narrow
18 dosage ranges that we use. So when we escalate a
19 dose by 30 percent and the intra-patient
20 variability is greater than that percentage,
21 there's going to be considerable overlap, at least
22 in the concentrations achieved, and it's going to

1 be less likely that you're going to actually be
2 able to see a difference in dose levels, and more
3 importantly, define a dose-response relationship.

4 The second thing I wanted to mention is that
5 there was a mention in Dr. Wessel's talk about
6 extrapolation, which I was happy to see in
7 Dr. Fox's talk that she struck a line through it.
8 I don't think that that should play much of a role
9 in defining the optimal dose in kids. And again,
10 we go back to our experience in current anti-cancer
11 drugs -- which had been developed over many years
12 in phase 1 studies -- that have excluded the
13 youngest and probably most vulnerable population
14 from participation in those trials. And when we
15 extrapolate it down to those younger age groups
16 using a very crude tool for adaptive dosing,
17 meaning body weight or surface area, many of those
18 patients got hit, sometimes significant and severe
19 toxicities.

20 So rather than extrapolating, we need to
21 study the patients in all age groups in defining
22 the optimal dose and understand that our current

1 methods of adapting the dose using some measure of
2 body size is inadequate in many cases. That's all
3 I have. Thank you.

4 DR. PAPPO: Thank you, Dr. Balis, and some
5 of this discussion can probably be also highlighted
6 again when we go to the specific questions. Thank
7 you very much for your insights.

8 Dr. Mody, you're next.

9 DR. MODY: Yes. Thank you, Dr. Pappo. I'm
10 Rajen Mody from the University of Michigan,
11 pediatric oncology, and my question is for Dr. Fox
12 and other FDA panel members.

13 For all these years of doing pediatric
14 oncology, we are always told that the number of
15 patients that you need to make any decision, based
16 on pharmacogenomics, is very large, and we just do
17 not have enough data. And now with the Molecular
18 Characterization Initiative, the CCDI, and other
19 initiatives where we are depositing -- our
20 institution, as well as several others -- thousands
21 of patients germline data, are we finally reaching
22 that point? Are we there yet, where we can

1 actually make some informed decisions based on
2 pharmacogenomics? Thank you, and I'll take my
3 questions off.

4 DR. FOX: I didn't touch directly on
5 pharmacogenomics in my talk, but I think the point
6 is very well taken. Carefully curated data for
7 rare patient populations is vitally important for
8 advancing the field of pediatric oncology. These
9 are long-term efforts, and I think the validity of
10 those efforts needs to be held in the consistency
11 with which we do it and the adequate clinical
12 annotation of those samples.

13 Obviously, as you mentioned, there are
14 efforts to do that with NCI and others. I believe
15 that your work, and the work of others in the
16 field, that the ability to do genetics, and
17 targeting, and the MATCH study, ICAT, and other
18 studies, have really demonstrated that national,
19 and even international, approaches to collecting
20 genomic data -- not only somatic but also
21 germline -- is critically important to how we move
22 forward, not only for targets, but for toxicity and

1 late effects in children with cancer. Thank you.

2 DR. MODY: Thank you, Dr. Fox.

3 DR. PAPPO: Dr. Glade Bender?

4 DR. GLADE BENDER: Hi. Yes. Thank you.

5 Julia Glade Bender from Memorial Sloan Kettering.

6 I think my question is to Dr. Fox and Dr. Blackman,
7 who sort of present two sides of a coin in that the
8 unified goal is to develop drugs sooner in
9 pediatrics and decrease the lag, but then there's
10 the question of formulation and the cost of
11 formulation. Dr. Fox so beautifully showed the
12 difference between the development timeline of
13 larotrectinib and entrectinib, and the difference
14 there being formulation.

15 So with all of the regulation related to
16 trying to speed the approvals of and testing of
17 pediatric drugs, the Race for Cure has a molecular
18 targeted list, but clearly some targets are more
19 relevant than others, and maybe there's, as opposed
20 to not an if but when, some direction needed for
21 companies to know that the when is sooner in some
22 drugs than other drugs; and where does the

1 responsibility lie in terms of informing those
2 kinds of decisions?

3 DR. FOX: Hi. Beth Fox from St. Jude. It's
4 an important question, Dr. Glade Bender. I started
5 with the analysis and the table that shows the
6 common ages of childhood cancer because that truly
7 is the starting point. So from my perspective,
8 when I look at a target that is likely going to be
9 important in a tumor, for example, like
10 neuroblastoma, we know what age group those
11 children are and the ability to understand the
12 formulation.

13 I will go back to the example of crizotinib.
14 We had access to that agent very early in
15 development, and as you saw, the pharmaceutical
16 partner developed four different formulations
17 during the course of a phase 1/2 study, and we
18 tested all of them with PK. And in the end, we
19 ended up with a formulation that we tried to
20 administer to small children with neuroblastoma in
21 the upfront setting, and it was considered not
22 palatable, and that study was amended, in part,

1 because of that palatability and, in part, because
2 the development of next-generation ALK inhibitors,
3 but I think it's an important question.

4 I think, in my mind, the way to start, as
5 Dr. Wessel indicated, is probably not crushing
6 tablets because that adds layers and layers of
7 variability, but I do think intermediate
8 formulations could be considered. And in my mind,
9 as I develop development plans for drugs, it's
10 about what the commitment is longer term because
11 none of us want to see resources put in that can't
12 be realized if the drug isn't moving forward. But
13 those discussions about is there a commitment to
14 begin to develop that, and are our pharmaceutical
15 and chemical partners really working to think about
16 what ultimately in their lead compound will be
17 soluble, and what are the options ultimately for
18 formulations, I think those are conversations we
19 could start having earlier.

20 I'll turn it over to Dr. Blackman.

21 DR. BLACKMAN: Thank you, Dr. Fox. Thank
22 you, Dr. Glade Bender, for the question. It really

1 is challenging, I would argue, to determine what's
2 the right time to begin investing resources in
3 [indiscernible] pediatric formulation development.

4 There's clearly a point at which I think
5 it's almost indefensible to not do it, and that's
6 certainly when you've got proof-of-concept data for
7 the lead indication, which, as you know, in many
8 instances, this is going to be an adult indication,
9 and there's relevancy of the target to pediatric
10 cancer, and you've got evidence of efficacy. I
11 think, at that point, it would be very hard to
12 defend a position of non-investing resources in
13 pediatric formulation development.

14 Depending, of course, on the characteristics
15 of the molecule, that may be easier, it may be
16 harder, it may take months, it may take years,
17 which of course is a challenge. And knowing that
18 as you move to the left in the time line, before
19 you've got proof of concept for pediatric
20 indication, especially if that indication is
21 different than the adult education, or before
22 you've got proof of concept in the adult

1 indication, and you don't know if the program's
2 even viable, it is really, really hard, I think,
3 for many of us who are champions for pediatric drug
4 development within industry to be able to convince
5 people to allocate the resources necessary when you
6 don't even know if the drug is going to move
7 forward. So it's going to end up being somewhere
8 along that spectrum and, of course, it will never
9 be early enough.

10 So I do believe that sometimes we're going
11 to be forced into a situation of good enough, where
12 we have to work with extemporaneously formulated
13 molecules. I would agree with Dr. Fox that simply
14 crushing tablets is probably not ideal, and we may
15 need to work collectively to come up with solutions
16 to get molecules into children who are too young to
17 swallow tablets or to run trials in children who
18 can only swallow tablets -- again, not
19 optimal -- to get us to that point in time where we
20 know that there's a path forward for development in
21 adults, something to really then make those
22 regulations and incentives sticky.

1 Certainly before we really embark on
2 mid-stage studies in children, you want to go in
3 with a pediatric formulation wherever possible and
4 not make it something that -- I think ideally we
5 don't want to see it done as a postmarketing
6 commitment. We'd like to see that done
7 pre-approval, but the timing part is difficult.
8 There's no one set answer, so it's always going to
9 be on a case-by-case basis, I suspect.

10 DR. PAPPO: Does that answer your question?

11 DR. GLADE BENDER: Thank you. I think
12 that's very clear.

13 DR. PAPPO: Yes. I'm Alberto Pappo, and I
14 had a question for Dr. Wessel, and if you wouldn't
15 mind just expending a little bit on the concept of
16 trials using dose selection strategies.

17 Do you think that this is an optimal way or
18 a good way to try to identify an optimal dose, and
19 are there any significant limitations? For
20 example, does this increase the sample size; are
21 there any considerations regarding the starting
22 dose; and what levels should be explored? I just

1 wanted to hear your thoughts about this potential
2 strategy.

3 (No response.)

4 DR. PAPPO: I don't know if Dr. Wessel is
5 still on.

6 DR. WESSEL: Sorry. I was trying to get my
7 microphone unmuted.

8 DR. PAPPO: Thank you.

9 DR. WESSEL: Thank you for the question. I
10 think that these dose selection trials need to be
11 done, and the strategy needs to be done, on a
12 case-by-case basis. I think Dr. Donoghue also had
13 a comment on it, but I might let her elaborate if
14 that's ok.

15 DR. DONOGHUE: Thanks, Dr. Wessel.

16 Dr. Pappo, were you referring to a specific
17 slide that Dr. Wessel presented when she presented
18 the alternative, the example of an alternative
19 dose-finding strategy where she discussed looking
20 at two different dosages? Is that the slide you
21 were referring to?

22 DR. PAPPO: That was the slide, yes. I

1 believe that was the slide. Correct, yes.

2 DR. DONOGHUE: Okay. We presented that as
3 an example, thinking a little bit along the lines
4 that as you are accumulating data in your initial
5 phase 1 dose-finding trial, you're able -- based
6 upon pharmacokinetics, pharmacodynamics, and
7 perhaps some early activity data, although we
8 understand that's not always the case at that point
9 given the small numbers -- to identify or hone in
10 on a target dose range that appears it might
11 provide the best balance between safety and
12 efficacy. In those cases, choosing those, perhaps,
13 two different doses to study a bit further prior to
14 enrolling a large number of patients in a trial
15 intended to support safety and effectiveness seems
16 appropriate.

17 However, that is simply an example. And as
18 others have pointed out, we have to really be
19 thinking about the dosage optimization process
20 along a continuum. We wouldn't advocate picking
21 two doses at random to study if we don't have
22 sufficient data to support why we would want to

1 pick those two doses and what pieces of information
2 we feel are still needed to help provide a
3 reasonable degree of assurance that the dose will
4 provide the best benefit-risk balance patients.

5 We're not advocating for that type of design
6 until we have proof of concept that a drug actually
7 is worth moving forward into further development.

8 We really need to have a certain amount of
9 information to make informative decisions and
10 provide advice about what type of further dosage
11 optimization may or may not be necessary and how
12 best to do that. So building some flexibility into
13 early trials so that you can backfill different
14 dose cohorts might make sense. There are a variety
15 of different ways we can build this into the
16 process.

17 I do want to emphasize a little bit some of
18 the nuance in terminology that we're using. One
19 thing that I was hearing -- and I really
20 appreciate, Dr. Blackman, your last quote from
21 Voltaire basically saying that we don't want
22 perfection to be the enemy of the good. We're not

1 expecting that we're going to find a perfect dose
2 necessarily for pediatric patients, or even adult
3 patients with cancer, through the information that
4 we acquire along drug development. What we're
5 really looking for is a thoughtful process during
6 development that's reacting to the information as
7 we aggregate it, and trying to fill in the gaps in
8 knowledge, so that by the time we're embarking upon
9 a large-scale trial, we have a degree of
10 reassurance that the dosage is reasonable that
11 we're not going to run into problems later with
12 large amounts of dose modifications and reductions,
13 if we can avoid it.

14 Ultimately, we feel like that approach
15 really helps de-risk a development program in
16 addition to being the best thing for patients. But
17 again, that's why we emphasize the importance of
18 meeting with us frequently and as early as possible
19 so that we can review together and consider the
20 information that we have and adapting, if we need
21 to, a development program to try to acquire that
22 information that we think is needed.

1 We do have examples in the adult oncology
2 space, in particular, where we thought we had known
3 the right dose, we've approved a dose, and then
4 ultimately have had to pull drugs off the market
5 due to excessive toxicity and impacts on survival.
6 We want to minimize that scenario as much as we
7 possibly can, understanding that we do have
8 limitations with sample sizes. We have limitations
9 in our degree of scientific knowledge, and
10 sometimes we do need a degree of information to be
11 accumulated postmarketing as well to further
12 understand the relationship between dose and
13 efficacy and safety.

14 But I do want to emphasize that we intend on
15 continuing to exert regulatory flexibility as we
16 have done in the past; case in point, the
17 trametinib approval for ALCL, where we had a
18 postmarketing commitment for further dose and
19 understanding different dosages. We're not saying
20 that we're going to unnecessarily hold up an
21 important approval that has a potential to make a
22 big impact for patients if there are outstanding

1 questions for dosing, provided that we know and
2 have confidence that the overall benefit-risk
3 assessment is positive.

4 DR. PAPPO: Thank you. No further
5 questions.

6 Dr. Frank Balis?

7 What about Holly? Did you have your hand
8 raised? Did you want to ask a question?

9 DR. BALIS: Yes.

10 DR. MEANY: Dr. Donoghue answered my
11 question. Thank you.

12 DR. PAPPO: Okay. Thank you.

13 Frank, go ahead, please.

14 DR. BALIS: Thank you. This is Frank Balis.
15 This is for Dr. Blackman.

16 Could you give us a range of the cost to the
17 company to develop a pediatric formulation? And
18 secondly, if there was magically a central facility
19 that was in place to do that job for a variety of
20 companies, would you provide your compounds, your
21 drugs, to a facility like that to have it done?

22 DR. BLACKMAN: Thank you for the question,

1 Dr. Balis. I can provide a rough example, some
2 rough figures from a program that I've been
3 recently involved in maybe just to give you a
4 little bit of history on this. This is a
5 remarkably insoluble kinase inhibitor. The company
6 that had rights to it before evaluated 17 different
7 formulations to try to solubilize this molecule.
8 In fact, when we talked to them about it, their
9 first question to us -- having exhausted all
10 available ideas that they had, they had one final
11 idea, and asked us how much olive oil they thought
12 a child could drink because they found that the
13 drug was soluble in olive oil, which was an
14 interesting question to be asked by a CMT person.

15 The pediatric formulation ultimately came to
16 bear, but it was a low single-digit million cost,
17 and that cost is more than just the initial
18 [indiscernible] chemistry. It's also the
19 manufacturing, all the lot testing stability, all
20 the regulatory CMT work that goes with it, along
21 with an actual clinical trial or relative
22 bioavailability/bioequivalence that needs to be

1 done, and any preclinical testing that needs to be
2 done as well.

3 So none of these things are just sort
4 of -- as you and, I'm sure, many of the people here
5 know, launch is sort of done on the benchtop, and
6 then moved forward into the clinic. There's an
7 immense amount of antecedent work, both
8 nonclinically and clinically, that's required.

9 I'm sorry. The second part of your question
10 there, Dr. Balis?

11 DR. BALIS: The second part was, if there
12 was a central facility, perhaps government
13 supported, would you give them your drug to develop
14 a pediatric formulation?

15 DR. BLACKMAN: I think, certainly, if there
16 was a central facility that had expert pediatric
17 formulation development, I think a lot of sponsors
18 would be really keen to do that. There are a small
19 number of private facilities. Bend Research out in
20 Bend, Oregon is particularly good at this. But I
21 think, certainly, if there was some centralized
22 locus of expertise, I could imagine certainly

1 wanting to work with them if there was a way to do
2 it in an expedient time frame.

3 Of course, one of the challenges with
4 anything when you outsource the work -- and it
5 doesn't matter if it's to a government partner or
6 if it's to a private partner -- is making sure that
7 that work is done in such a way that at the end of
8 the day, that work can be submitted as part of your
9 NDA to support the potential approval. Obviously,
10 it has to all be done GMP compliant.

11 So I think if there were something up to par
12 with that, and of course contracting and all the
13 other things operated on a time frame that was
14 reasonable -- and of course when you're working
15 with the government, there's also IP
16 considerations. If there was some idealized way to
17 make it work, I think a number of sponsors might be
18 interested in taking advantage of a centralized
19 pool of expertise. Thank you for the question.

20 DR. PAPPO: Next is Dr. Malcolm Smith.

21 DR. SMITH: Thank you. It's Malcolm Smith,
22 NCI. A question, going back to randomized trials

1 and the comments from Drs. Donoghue and Wessel, the
2 question is about the trade-off between the small
3 patient populations that most of these drugs will
4 be developed for, and doing a randomized trial, and
5 the trade-off in thinking about a particular
6 patient population and what's the next most
7 important question for them versus from the drug's
8 perspective, and how it might best be developed for
9 that indication and what's best for it.

10 With crizotinib as an example, defining the
11 dose of crizotinib better for a particular
12 population may be important, but maybe for
13 neuroblastoma, the important thing is to study
14 lorlatinib rather than crizotinib. So that's a
15 trade-off, and how is that trade-off evaluated?
16 Because if you prolong this optimization, maybe
17 that means another agent won't be studied for that
18 population if you prolong this optimization, and
19 getting the combination testing might be delayed.
20 So I understand there's flexibility, but how does
21 that flexibility get operationalized when there's
22 such small populations? There are lots of

1 questions that could be asked that may be important
2 within those small populations.

3 DR. DONOGHUE: Thanks, Dr. Smith. I just
4 want to repeat the question just to be sure I have
5 it right. Are you asking how we approach
6 decision making with respect to the approaches to
7 dosage optimization in light of small numbers of
8 patients, and thinking about balancing pragmatism
9 with the need or desire for new information?

10 DR. SMITH: Right. From a standard phase 1
11 trial, you'll have some evidence for tolerability.
12 You might have done an expansion or the recommended
13 phase 2 dose, what you think is the recommended
14 phase 2 dose. You're going to have data from
15 adults. You're going to have PK data. So you'll
16 have a body of data that may produce a good enough
17 dose, but then there's the question of what would
18 go into the decision of a company thinking, "Oh,
19 no. That's not good enough. I need to do more" to
20 determine the dose more precisely for this
21 population, as opposed to all the other things that
22 might be done for a group of patients with relapsed

1 neuroblastoma and another drug that might be
2 studied, or moving directly into combination
3 testing.

4 DR. DONOGHUE: I think what you're
5 highlighting, or at least what I'm hearing from
6 you, Malcolm, is you're highlighting the complexity
7 of these decisions. To me at least, you're also
8 highlighting the importance that we have
9 multistakeholder discussions along drug development
10 in pediatric oncology when we collectively as a
11 community are determining what the drug development
12 program should look like, and to be aware, to the
13 extent we can be, that a decision we're making does
14 not have unintended consequences, A; and also that
15 we are really clear about what the question is that
16 we think is important to answer, and that we are
17 asking for the information that will answer that
18 important question, but not necessarily, I think as
19 we said before, having a knee-jerk response asking
20 for additional exploration and a certain number of
21 dose levels in a certain way without really
22 understanding why we're asking for that.

1 So I think this really has to be part of an
2 ongoing discussion. The process of dosage
3 optimization really is a multidimensional process
4 that needs to take into account all of the
5 information that we have. And sometimes during
6 early clinical trials, there is really valuable
7 PK/PD data that sometimes isn't available until
8 some period of time later. The extent to which we
9 can leverage every piece of information that we're
10 collecting in the early phases to help inform our
11 decision making about what dosage optimization
12 looks like and what additional amount of work is
13 necessary or important to do, I think are decisions
14 that we have to make along the way.

15 And it will not look the same for every drug
16 because some drugs may have from the very
17 beginning, such as larotrectinib, very clear
18 evidence of effectiveness in a rare population and
19 early investigation in those young pediatric
20 patients that help streamline drug development.
21 Others, we may have a lot more information about
22 drugs, in the same class even, that can help inform

1 the decisions we're making.

2 But I think the points you bring up are
3 important, and we really need to be thinking about
4 all these things as we work together to determine
5 the best approach to take for a given drug
6 development program.

7 DR. SMITH: Okay. Thank you.

8 Dr. Ted Laetsch?

9 DR. LAETSCH: Thank you, Dr. Pappo. Ted
10 Laetsch. I was just going to piggy back a little
11 bit on Dr. Smith's question and highlight again, as
12 Dr. Blackman highlighted, that there are multiple
13 agents in-class and expanding numbers of agents
14 in-class for many of these rare pediatric
15 indications.

16 I would ask and highlight the FDA's thoughts
17 on how to ensure there's international regulatory
18 alignment on the requirements for studies of these
19 drugs. Many of these drugs are studied in broad
20 international clinical trials to achieve a
21 sufficient number of patients with rare pediatric
22 indications, and I think it's really important,

1 clearly, that we have alignment on the requirements
2 so that we don't inadvertently delay drug
3 development for children by having extensive dose
4 finding of multiple agents in the same class for
5 our very rare patient population, for example, when
6 that may not answer the most important question, as
7 Dr. Smith highlighted.

8 That was sort of a question for
9 Dr. Donoghue, and I appreciate having the EMA on,
10 but how do you think about aligning these
11 requirements across regulatory bodies?

12 DR. DONOGHUE: Thanks, Dr. Laetsch. I want
13 to just emphasize, first of all, that in terms of
14 regulatory requirements in the United States, our
15 requirement under PREA, as amended by FDARA since
16 August 18, 2020, is that we have the authority to
17 require a molecularly targeted pediatric cancer
18 investigation for targeted drugs that are being
19 developed in adults that are working against a
20 target that we think is substantially relevant to
21 one or more pediatric cancers.

22 That's the degree of our regulatory

1 authority, and what that would look like is an
2 early phase 1/2 clinical trial in pediatric
3 patients. It is not a requirement for dosage
4 optimization or to optimize the dosage. We don't
5 have any regulatory requirements, per se, that I'm
6 aware of, that relate to optimized dosages. The
7 regulations that we are bound to ultimately when
8 we're thinking about approving a drug is that we
9 have to have substantial evidence of effectiveness
10 and we have to have a positive benefit-risk
11 relationship such that we think the drug is
12 appropriate to approve. I think if you have an
13 optimized dosage, there's an increased likelihood
14 that you're going to have a positive benefit-risk
15 relationship because you'll have less toxicity, as
16 I mentioned before. But in terms of the pediatric
17 requirement, we do not have a requirement for
18 extensive dose evaluation.

19 Having said that, I acknowledge and I
20 completely agree with the need for us to
21 collaborate as much as we possibly can with our
22 other global regulatory agencies in order to align

1 as much as possible in the advice we're giving for
2 pediatric oncology trials. We do that quite
3 regularly. In fact, we had a meeting from 7:30 to
4 10 yesterday, working on issues related to that
5 with EMA and other regulatory agencies, so it's
6 something that we do take very seriously. Are we
7 perfect at it yet? No, we're not, but we have
8 continued commitment to improve that.

9 It actually would be very helpful to have as
10 much feedback as we can get from the community and
11 as much interaction we can get with the community
12 when we're all talking together, industry,
13 investigators, advocacy, regulators. The more
14 communication we can do together on that, I think
15 the higher likelihood that we're going to make
16 decisions that are not competing with one another,
17 or obstructing drug development, or wasting
18 resources, patient and financial resources.

19 DR. LAETSCH: Thank you. I appreciate the
20 answer and appreciate the collaboration.

21 DR. PAPPO: Any additional clarifying
22 questions?

1 (No response.)

2 **Questions to the Subcommittee and Discussion**

3 DR. PAPPON: Okay.

4 The committee will now turn its attention to
5 address the task at hand, the careful consideration
6 of the data before the committee, as well as the
7 public comments. We will now proceed with the
8 questions to the committee and panel discussions.

9 I would like to remind public observers that while
10 this meeting is open for public observation, public
11 attendees may not participate, except at the
12 specific request of the panel. After I read each
13 question, we will pause for any questions or
14 comments concerning its wording.

15 We will now proceed to our first question,
16 which is a discussion question. Can I have the
17 slide for the first question? The first question
18 is, Discuss the unique considerations associated
19 with dosage optimization in pediatric oncology.

20 If there are no further questions or
21 comments concerning the wording of this question,
22 we will now open the question for discussion.

1 (No response.)

2 DR. PAPPO: Perfect.

3 Let's start with Frank.

4 DR. BALIS: Thank you. Frank Balis from
5 CHOP. Having done this for a number of years, I
6 think we've talked about already today that we
7 really have moved on substantially in the types of
8 drugs that we're developing, the selectivity that
9 they have, but we have not moved on in terms of how
10 we study those drugs in the clinic. I think the
11 biggest roadblock to moving on is the endpoint of
12 the dose-finding study.

13 Obviously, toxicity is relatively easy. In
14 most cases it occurs rapidly. It's relatively easy
15 to score it, particularly with the grading criteria
16 that we currently have, but it's also probably the
17 most compound endpoint you could ever have. It's,
18 what, 800 and some terms in the CTCAE? For
19 example, grade 3 hypophosphatemia is not equivalent
20 to grade 3 brain necrosis as toxicities.

21 So there are problems with it from the
22 perspective of if we want these drugs to be dosed

1 in a way that's less toxic, which was the whole
2 point of developing targeted therapy, we've got to
3 find better endpoints for determining what the
4 optimal dose is. We're faced with the choice, in
5 addition to toxicity, of using therapeutic
6 endpoints, which has always been an issue. It may
7 be less so if the dose-finding study is done in a
8 selected population that includes patients who have
9 the target for which the drug is developed for.

10 In the past, we've always done these studies
11 in a broad range of cancer patients, and the
12 sensitivity of individual types of cancers may be
13 different, and even in patients with the same type
14 of cancer, the sensitivity can be different. And
15 the endpoint of response is one that is delayed,
16 sometimes significantly out, which makes it also
17 difficult to use as an endpoint.

18 So that basically brings us back to using
19 pharmacologic endpoints, either pharmacodynamic or
20 pharmacokinetic. Pharmacodynamic is closer to a
21 clinical therapeutic endpoint, but has some
22 limitations in the sense that it's difficult in a

1 pediatric population to sample the tumor and to
2 look at pharmacodynamic endpoints. And using
3 surrogate tissues like blood cells may not reflect
4 what's going on in the tumor for a variety of
5 reasons, including the fact that mutated targets
6 may not have the same binding affinity for a drug
7 that we're studying.

8 Pharmacokinetic endpoints may have some
9 advantage in the sense that it's relatively easy to
10 sample. It kind of goes back to the comment I made
11 earlier about therapeutic drug monitoring in terms
12 of having a therapeutic range that is defined that
13 we can use to determine if we've reached the
14 optimal dose, but pharmacokinetic endpoints
15 addresses the variability, which is an issue, and
16 we can significantly limit that by using drug
17 levels to adjust the dose.

18 There are other ways around, including, for
19 example, with older drugs, we just pick the range
20 that many patients have, knowing that that drug has
21 been therapeutic for a while, so that could be done
22 in retrospect; or also using information from

1 adults in terms of defining a range that we can
2 target, at least knowing the dose that would get us
3 to the point that we get similar exposures to what
4 occurs in adults.

5 So I think if we're going to take the next
6 step, we've got to move on from simply using
7 toxicity as a simple way to determine whether the
8 dose we've reached is optimal or not. Thank you.

9 DR. PAPP0: Thank you very much, Dr. Balis.
10 Julia Glade Bender, you're next.

11 DR. GLADE BENDER: Just to speed things
12 along, I will state the obvious. I think what has
13 come across to me as the number one issue -- thank
14 you, Dr. Beth Fox -- is formulation is the most
15 unique consideration associated with dose
16 optimization in pediatric oncology. It's
17 absolutely clear that it's hard to study dosage
18 optimization when you're not even delivering the
19 dosage you think you're delivering because you are
20 limited by tablet size and you have overlapping
21 doses.

22 If we are to optimize dosage, we need to

1 actually have more precision in the doses that we
2 deliver based on formulation. So again, I think
3 this point of when the formulation is developed and
4 for which drugs is really critical. I don't think,
5 as Dr. Blackman said, it should be a requirement at
6 the onset for every drug, but certainly for those
7 drugs where there is an early signal or an
8 overwhelmingly strong biologic rationale, it should
9 be earlier than it currently is so that we don't
10 delay the process.

11 DR. PAPPO: Thank you very much, Dr. Glade
12 Bender.

13 Steve DuBois?

14 DR. DuBOIS: Steve DuBois, Dana-Farber. I
15 guess two points come to mind. Of course, the
16 rarity of the populations that we're treating and
17 studying as part of any dose optimization activity
18 in pediatric oncology really, I think, poses a
19 substantial challenge when we're designing these
20 types of studies. Then I like that Dr. Fox brought
21 up the the biology and the biological differences
22 between maybe adult and pediatric type tumors. She

1 provided the example of crizotinib, which for a
2 neuroblastoma indication was really requiring a
3 higher dose to target a mutation rather than an ALK
4 translocation as would be seen in adults with
5 non-small cell lung cancer, where you'd expect a
6 translocation to be more sensitive.

7 So I think those are other important
8 considerations that maybe extrapolating completely
9 from the adult dose may not be biologically
10 appropriate, depending on what we're asking of the
11 molecule.

12 DR. PAPPO: Thank you very much.

13 Dr. Smith?

14 DR. SMITH: Yes. Malcolm Smith, NCI, and
15 just a couple of points about distinct unique
16 considerations. One, again, is the small patient
17 populations, and that there is an opportunity cost
18 if one is going to do a prolonged dose optimization
19 in these small populations. I think that suggests
20 that we really want to reserve prolonged dose
21 optimization for those settings in which the
22 evidence is clear that we need more tolerability

1 and PK data or something, beyond that that we
2 typically would gain from a first-in-child study
3 and from the adult experience that we had; and
4 Dr. Blackman to be quoted here, "Don't let the
5 perfect be the enemy of the good."

6 Another point is distinctive, that as
7 opposed to some adult cancers, the ultimate goal of
8 bringing agents into pediatric testing is to cure
9 more children, so that goal in the end is
10 maximizing the ALK cell [indiscernible] agent; so
11 to be cognizant that even small reductions in the
12 activity of the agent may have substantial impacts
13 if the goal is cure.

14 The last two points really apply both to
15 adults and pediatrics but, again, the point that
16 there are some agents that we will know from the
17 adult experience and from the preclinical data that
18 we will never get to the drug exposures in children
19 that would be associated with optimal responses,
20 and that we will be stopped by toxicity from
21 getting to those doses; so determining what those
22 agents are and prospectively saying, "Okay. This

1 is that type of agent, and we will escalate as far
2 as toxicity will let us escalate."

3 Then the final point, and it was made in, I
4 think, Dr. Wessel's presentation, is about the
5 importance of looking at these chronic toxicities
6 and chronic low-grade toxicities that many of our
7 targeted agents are given on a continuous basis. I
8 think that's a really important issue. We don't
9 need randomized trials to look at it; we just need
10 to incorporate it into all of our dose finding and
11 get away from the kind of acute cytotoxicity dose
12 finding for these agents that we expect to give on
13 a chronic basis, and for which a low-grade toxicity
14 lasting for weeks will not be tolerated by
15 children.

16 DR. PAPP0: Thank you, Dr. Smith.

17 Dr. Laetsch?

18 DR. LAETSCH: Thank you, Dr. Pappo. I was
19 just going to build off what Dr. Smith said. In
20 pediatrics, we're really looking for agents with
21 large effect size that can hopefully increase the
22 number of patients who are cured, and this is

1 really necessary given the small patient
2 population, and it's going to be very challenging
3 to show small statistical differences in outcomes
4 of patients, so we're looking for agents that are
5 highly active.

6 So I think building on Dr. Donoghue's
7 comments in response to my question, I think it's
8 important to think about for which drug this is
9 important, and that early-phase trials may find a
10 good enough dose to study and try to prioritize
11 agents across patients. Then as Dr. Smith
12 suggested, considering more in-depth dose
13 optimization, really, for those agents, where it's
14 clear that it's necessary and clear that they're
15 hopefully likely to move forward in pediatric
16 oncology so that we don't commit resources and ask
17 a large number of patients to enroll on clinical
18 trials to define a dose of a drug that will not
19 move forward. That's clearly not optimal for the
20 field.

21 DR. PAPPO: Thank you, Dr. Laetsch.

22 Dr. Meany?

1 DR. MEANY: Holly Meany from Children's
2 National. I just wanted to bring up a point that
3 Dr. Wessel mentioned, and I think Dr. Fox also
4 showed some nice data, that we are having a much
5 higher number of patients surviving their cancer
6 and their therapy, and late effects years down the
7 road are important considerations for a lot of
8 these agents, particularly more targeted agents
9 that patients may remain on for an extended period
10 of time. I think that's an added challenge in our
11 pediatric population, and certainly these late
12 effects that would affect our children are not
13 things we might garner from adult trials. Thank
14 you.

15 DR. PAPPO: Thank you.

16 Dr. DuBois?

17 DR. DuBOIS: Steve DuBois, Dana-Farber. I
18 should have said this with my first set of
19 comments. I know we'll get to this as one of the
20 dedicated questions, but just to say out loud that
21 so much of the success that we've had in pediatric
22 oncology has been due to combination approaches,

1 and I think culturally our field has a strong
2 desire to get two combinations relatively quickly,
3 sometimes even as part of the first-in-child
4 clinical trial. So I think that's another
5 important unique consideration.

6 DR. PAPPO: Thank you.

7 I'm going to try to summarize the
8 655 comments, and if I miss something, or if I
9 misinterpreted something, please feel free to chime
10 in.

11 It's apparent that the types of drugs that
12 we're using now to treat childhood cancer have
13 evolved from the classic concept of cytotoxic
14 chemotherapy and we need to be shifting the way we
15 study them. Perhaps the endpoint of toxicity by
16 itself might not be the right endpoint that we
17 should be using for these drugs. We need to find
18 better endpoints to assess the efficacy, and the
19 toxicity, and the optimization of these drugs in
20 pediatric patients.

21 It is important also to identify the target
22 population that is perhaps optimal for dose

1 finding, and that perhaps using other endpoints
2 such as PD might be a good way to proceed; however,
3 that might be a little bit problematic in
4 pediatrics. We can continue with PK and using
5 therapeutic range dosing for selected agents.

6 Another issue that needs to be taken into
7 consideration is the formulation for these patients
8 and for dose optimization that might have
9 significant challenges in specific populations.
10 Also, we need to recognize that it's challenging to
11 test these drugs and optimize doses because it's a
12 rare population of patients. The biology of those
13 diseases might be different than from adults, and
14 we cannot extrapolate sometimes the data that we
15 see in patients.

16 Another point that we need to highlight is
17 that when we are trying to explore a drug that may
18 have activity in pediatrics, we're really aiming
19 for a cure, and we want to see big differences when
20 we try those drugs. So it is important to optimize
21 those drugs in specific populations. There's
22 nothing wrong with having a small phase 1 trial to

1 try to identify the dose, but if we find a level of
2 activity that is significant in a specific
3 population, that's where additional studies can be
4 done to optimize the dose on these patients. We
5 are also, again, looking for a large effect of
6 these drugs, and we need to be also aware of the
7 fact that some of these medicines are going to be
8 given in a chronic dosing in these patients, and we
9 need to be aware of the potential side effects that
10 these patients can have with chronic exposure. I
11 think that's about it.

12 Did I summarize everything or did I miss
13 anything?

14 (No response.)

15 DR. PAPPO: So there are no comments, so now
16 we will move to question number 2, which reads,
17 discuss the potential challenges to identifying an
18 optimized dosage for new drugs and biological
19 products for pediatric cancers and potential
20 strategies to address these challenges.

21 (No response.)

22 DR. PAPPO: If there are no further

1 questions or comments concerning the wording of the
2 question, we will now open this question for
3 discussion. I think that some of the issues are
4 going to be similar to question number 1, but I
5 look forward to your comments.

6 Dr. Julia Glade Bender?

7 DR. GLADE BENDER: I want to revisit one of
8 the challenges that was brought up earlier by
9 Dr. Wessel, which was the difficulty of doing
10 postmarketing studies and testing agents that have
11 already been FDA approved. I think some of the
12 recent pediatric studies in the postmarketing
13 space, particularly with larotrectinib, have
14 accrued remarkably well in the pediatric
15 population. These drugs are not so easy to obtain,
16 and the idea that they can be obtained through a
17 trial free of cost and that the patient is
18 contributing to knowledge is appealing to families.
19 I'm not sure that that's actually a challenge, to
20 do it in the postmarketing setting, so I think in
21 many cases that is a good strategy going forward.

22 DR. PAPPO: Thank you very much.

1 Andy?

2 DR. KOLB: Thank you, Dr. Pappo. Andy Kolb,
3 the Leukemia and Lymphoma Society. I think one of
4 the challenges is that many of these initial
5 studies are publicly funded, and we will have
6 smaller cohorts with fewer resources for the
7 optimization that I think is inferred from
8 Dr. Wessel's presentation. As Dr. Smith said
9 previously, we look at these drugs in a larger
10 context with others in-class and limit
11 resources -- the most valuable which is the
12 clinical trial eligible patient -- in a step-wise
13 way, and move to subsequent steps when we cross
14 specific thresholds. I think painting that global
15 picture for a target for a drug is particularly
16 challenging when the drugs are reviewed
17 individually. Thank you.

18 DR. PAPPO: Thank you.

19 Dr. Smith?

20 DR. SMITH: Yes. Malcolm Smith, NCI. In
21 terms of the new drugs and biological products, I
22 wanted to make one comment about a specific class

1 of agents, and those are the antibody drug
2 conjugates, or ADCs, that I think are going to be
3 really important -- they already are -- for cancer
4 like Hodgkin lymphoma and inotuzumab ozogamicin for
5 ALL, but I think they'll be very important in the
6 coming years. My perspective on how to dose these
7 types of agents is informed by the writings of Jon
8 Lambert, so it's on me if I misinterpret some of
9 the things he said. But he makes a point that mice
10 and humans have about the same plasma per kilogram
11 of body weight, so with a few assumptions, you can
12 extrapolate or approximate the activity in mice and
13 humans at a particular dose. They should be
14 relatively equal.

15 When you look at ADCs, and I'll focus on the
16 maytansinoids, they're usually in the mice and have
17 activity in the 3 to 4 milligram-per-kilogram
18 range, but with the activity increasing and the
19 regression becoming much more robust -- up to 10 or
20 15 milligram per kilogram, and in humans, the
21 trastuzumab emtansine -- the MTD was 3.6 milligram
22 per kilogram, so that one would predict that if you

1 were able, if there weren't any of the toxicities
2 associated with the agent and you could get the
3 higher doses, you would be able to have better
4 responses.

5 We saw this with glembatumumab vedotin,
6 where we could only give 1.9 milligram per kilogram
7 per dose every 21 days, and in the preclinical
8 setting, really, minimal activity was seen at a
9 higher dose, 2.5 milligram per kilogram given every
10 4 days. And then finally, even with the
11 top1 payloads, which are dosed in the clinic, an
12 example was given where the dose was between
13 5 and 6 milligrams per kilogram, but the
14 preclinical doses, you see dose response up to
15 doses of at least 10 milligram per kilogram, and
16 perhaps higher.

17 So as we move these agents into testing in
18 children, I think unless there's really good PK or
19 PD modeling data that shows that there may not be a
20 dose-response effect over the ADC doses that we
21 plan to use in children, then we really should be
22 using doses that are tolerable, and basing our

1 experience on the tolerable doses in adults and not
2 worrying that we have to go through extensive dose
3 optimization for this class of agents. That's all.
4 Thank you.

5 DR. PAPP0: Thank you very much, Malcolm.
6 Dr. Balis?

7 DR. BALIS: Thank you, Dr. Pappo. This is
8 Frank Balis. I think that the drugs that we're
9 looking at now, as I mentioned, are more like
10 classical agents that we think about when we think
11 about pharmacology, and that is that they bind
12 receptors. And there, dose response curve
13 oftentimes looks like the curve that Dr. Wessel
14 showed early in her talk that at some point reaches
15 a maximal effect. And once you've reached that
16 maximal effect, increasing the dose is not going to
17 substantially increase the therapeutic effect of
18 the drug. The problem that we have and the way to
19 define an optimal dose is to actually characterize
20 the dose-response curve. Unfortunately, when we do
21 dose escalation studies in kids, we started a dose
22 that's already pretty close to the maximal effect,

1 presumably, if that was reached in adults, and we
2 do very small incremental dose escalation.

3 As an example, to get from an IC50
4 concentration to an IC90 concentration for most
5 agents is a 10-fold increase in the concentration
6 and we, of course, never do dose escalations that
7 are anywhere close to that in terms of the range
8 that we look at, and that only defines half of the
9 dose-response curve.

10 So the limitation here is that we can't go
11 back and do very low doses that are potentially
12 non-therapeutic in kids as a way to better define
13 the dose-response curve for the tumors that occur
14 in them in pediatric populations. The only really
15 way around it, and the way that studies with
16 non-cancer drugs used to be done many years ago, is
17 using intra-patient dose escalations. Again, that
18 would be potentially feasible if we had a
19 short-term endpoint that we could measure to look
20 at effect, and then quickly get the patients
21 escalated up to a dose that we think is closer to a
22 therapeutic range. But from the perspective of

1 classical pharmacology, we really aren't achieving
2 the goal, particularly about defining or
3 characterizing a dose-response curve as a way to
4 select the optimal dose of the drug to use.

5 Thanks.

6 DR. PAPPO: Thank you very much.

7 Any additional comments on the second
8 question?

9 (No response.)

10 DR. PAPPO: So if I can briefly summarize
11 this, apparently postmarketing studies are going to
12 be important in discussing the potential challenges
13 to identify dosage for new drugs and biological
14 products in patients and FDA-approved drugs.
15 Funding might be an issue in specific clinical
16 trials. We need to pay special attention to a
17 class of drugs which are antibody drug conjugates,
18 in which dose escalation might actually be of
19 importance in selected cases given higher doses,
20 and PK and PD may not adequately represent the
21 actual dose of the response that we're looking for.
22 We also need to characterize dose-response curves a

1 little bit better and consideration of
2 intra-patient dose escalation as a short-term
3 endpoint in some of these studies.

4 I hope that I summarized all of your
5 thoughts or, Malcolm, anything else to add?

6 DR. SMITH: Yes. In terms of my comments,
7 the point was that for antibody drug conjugates and
8 studying them in children, I think the question
9 really is, are we at the top of the dose-response
10 curve and on the plateau, or are we still in the
11 rising slope of the dose-response curve? I think
12 for most antibody drug conjugates, or absent
13 additional PK/PD modeling data that a company may
14 have that we are on the plateau, I think the
15 assumption is that we're probably even at the
16 tolerable doses in humans on that part of the
17 dose-response that's still increasing.

18 So dose optimization at that point, other
19 than making sure that the dose is tolerable in
20 children, we don't want to have too much
21 interstitial lung disease, or too much parotitis,
22 or some other ADC effect, but absent additional

1 data, I think it's likely that the ADCs will be on
2 the increasing slope of the dose-response curve at
3 the doses that are tolerable in humans and
4 children.

5 DR. PAPPO: Thank you for the clarification.

6 Dr. Glade Bender?

7 DR. GLADE BENDER: I just wanted to add one
8 more comment to my points about postmarketing
9 studies as well. I think they may be a good
10 strategy for industry and for the patient. I think
11 the burden of those strategies is actually on
12 academia because these studies are considered not
13 as scientifically interesting at the institutional
14 level, but obviously critically important to the
15 patients and the development of the drug. So for
16 those postmarketing studies, I think somehow the
17 challenge will be to make them appealing to
18 academia because they carry with them the same
19 infrastructure and resource cost as some of the
20 earlier and more exciting studies.

21 DR. PAPPO: Thank you for your comment.

22 Any additional suggestions or comments on

1 question number 2?

2 (No response.)

3 DR. PAPP0: If not, we're going to move to
4 question number 3. For drugs and biological
5 products being developed in both adult and
6 pediatric patients with cancer, consider how the
7 timing of dosage selection in adults impacts the
8 timing of trial initiation and dosage optimization
9 in pediatric patients with cancer.

10 If there are no questions or comments
11 regarding the wording of the question, we will now
12 open this question for discussion, and we will
13 start with Dr. Steve DuBois.

14 DR. DuBOIS: Thanks, Dr. Pappo. Steve
15 DuBois, Dana-Farber/Boston Children's. I'm pleased
16 to see that nearly everyone, I think, has said that
17 we don't need to wait for a final, optimized, adult
18 dose before we can embark on pediatric development,
19 and I certainly echo that. From my standpoint, I
20 think we have an ethical obligation to a minor
21 participant that there be some potential prospect
22 for benefit. I always feel better working with a

1 dose that is a starting dose for a first-in-child
2 study that has some prospect of benefit, and that
3 this is not like a homeopathic dose of the
4 medication. But barring that, if we think that
5 there's a prospect for benefit, I think it's
6 reasonable to proceed.

7 I think as well, sometimes, because of the
8 nature of the patients who are coming to
9 first-in-child clinical trials and their extent and
10 type of pretreatment, we sometimes see different
11 toxicity profiles. So I think we can't always be
12 totally reassured by what we see in the adult
13 experience. For example, our patients receive, at
14 least in the solid tumor world, much more intensive
15 alkylator pretreatment, and we've seen more
16 hematologic toxicity with some novel agents maybe
17 than we might have predicted from the adult
18 experience. Thank you.

19 DR. PAPPO: And to your point as far as
20 delaying the impact of these drugs in pediatrics,
21 even in the trials that you published in your paper
22 with a delay of 6-and-a-half years, a lot of those

1 studies did not even include dose optimization in
2 adults. So there's a big delay, and we need to try
3 to optimize this and get those drugs faster in
4 children.

5 DR. DuBOIS: Yes. I totally agree.

6 DR. PAPP0: Do we have any additional
7 comments?

8 Dr. Laetsch?

9 DR. LAETSCH: I would just second what
10 Dr. DuBois said. I agree completely that early
11 initiation of pediatric clinical trials is
12 important, and just highlight again what I said in
13 response to question 1 around designing initial
14 trials to evaluate the dose in a relatively small
15 number of patients and get some hint of activity
16 before proceeding with large pediatric dose
17 optimization studies, which may come later and may
18 borrow and extrapolate data from adult dose
19 optimization trials.

20 DR. PAPP0: Thank you.

21 Dr. Yoram Unguru?

22 DR. UNGURU: Thank you, Dr. Pappo. Yoram

1 Unguru from Children's Hospital of Sinai and Johns
2 Hopkins Berman Institute of Bioethics. I want to
3 dovetail on something that Dr. DuBois said that I
4 think is really apt.

5 In ethics, we oftentimes think about
6 treating similar people similarly, similar research
7 participants similarly, similar cases similarly,
8 and if we're thinking about how to optimize trials
9 for dose optimization and relating kids to adults,
10 a corollary might be thinking of a traditional
11 phase 1 trial or an early-phase trial where a
12 question oftentimes, at least ethically, is raised,
13 "Should we limit participation to patients who've
14 only exhausted other alternatives?"

15 There's an ethical argument that's made that
16 you don't need to take that tact so long as -- and
17 this goes to his point -- there is a prospect of
18 some benefit that the risk is not too toxic and
19 that the risks can be justified based upon
20 anticipated benefits to participants, specifically
21 as it relates to available alternatives.

22 I think there's something to be said there.

1 I do think that this would require a bit of a
2 frameshift in how these trials are broached and
3 discussed with our patients and our patients'
4 families. I think a lot of the heavy lifting is
5 important in that space.

6 DR. PAPP0: Thank you very much.

7 Any additional comments? Dr. Frank Balis?

8 DR. BALIS: Thank you, Dr. Pappo. This is
9 Frank Balis. I think that one of the changes that
10 occurred moving from cytotoxic drugs to targeted
11 agents is that we weren't really too concerned
12 about the mechanisms or the scientific rationale
13 necessarily for moving cytotoxic drugs into
14 pediatrics just because, in many cases, in general,
15 cytotoxic agents tend to be more active in
16 childhood cancers, so we moved them along without
17 thinking much about that.

18 I think now we can spend a lot more time
19 prioritizing drugs based on the target of the agent
20 and whether that target is expressed, or the
21 antigen is expressed, in childhood cancers,
22 specific cancers, and obviously ones with a greater

1 scientific rationale could probably take precedence
2 in terms of moving ahead earlier in development, as
3 opposed to those where we may not have a strong
4 scientific rationale for studying them in childhood
5 cancers, despite the fact that we do or don't have
6 activity data in adults. Thanks.

7 DR. PAPPO: Dr. Glade Bender?

8 DR. GLADE BENDER: Julia Glade Bender from
9 Memorial Sloan Kettering. I think, again, the
10 point that we had made about targeting
11 pharmacokinetic endpoints and the need to
12 understand dose response, the adult data really
13 helps there, whereas there are some pediatric
14 diseases for which the dose exposure may need to be
15 higher, like the example of crizotinib in
16 neuroblastoma, for the most part -- it doesn't need
17 to be lower -- at least at first to see whether or
18 not there is a signal of efficacy.

19 So I think if the dose-response relationship
20 is actually seen and known in adults, that is very
21 helpful to anchor a pediatric trial, where if we
22 get some early real-time PK and know that we are

1 below that threshold, that we should advance
2 quickly through our dose escalation, and then work
3 on the optimization.

4 DR. PAPPO: Thank you very much.

5 Any additional comments on this question?

6 (No response.)

7 DR. PAPPO: So I think that the overall
8 consensus was not to wait for final adult
9 optimization studies to start pediatric studies;
10 that there's an ethical obligation for us to move
11 forward with drugs that could potentially offer a
12 prospect of benefit, and we need to take into
13 consideration that the toxicity profile that is
14 seen in adults might be slightly different in
15 pediatrics.

16 It's important to have early initiation of
17 pediatric clinical trials and designing small
18 clinical trials perhaps mostly based on the data
19 from adults with dose-response relationships seen
20 in adults. And then once we identify a potential
21 target or potential benefit, then you can proceed
22 with dose optimization studies. We also need to

1 reconsider how we approach families for these
2 phase 1 studies and how we select the populations
3 for these studies.

4 Did I get the gist of it? Did I miss
5 anything? Do you want to add anything?

6 (No response.)

7 DR. PAPPPO: Okay.

8 So now we will move to question number 4,
9 and this is, discuss the considerations for dosage
10 optimization in pediatric oncology clinical trials
11 investigating combination therapies.

12 If there are no questions or comments
13 concerning the wording of this question, we will
14 now open this question for discussion, and we're
15 going to start with Dr. Steve DuBois.

16 DR. DuBOIS: Thanks, Dr. Pappo. Steve
17 DuBois, Dana-Farber/Boston Children's. I think
18 it's important just to say here that this should be
19 not just dose optimization, but dose and schedule
20 optimization. We've seen now several examples in
21 the pediatric oncology clinic in which, for
22 example, anti-androgenic TKIs have not been

1 tolerable along with concomitant conventional
2 cytotoxic chemotherapy, but have been tolerable
3 with sequential dosing; so I think keeping in mind
4 not just dose optimization but schedule
5 optimization.

6 DR. PAPPO: Thank you very much.

7 Dr. Malcolm Smith?

8 DR. SMITH: Yes. Malcolm Smith, NCI. The
9 point I want to make is one that Dr. DuBois made
10 earlier, that combination therapy really is central
11 to what we do in pediatric cancer, and to all of
12 our curative therapies, going back all the way to
13 Frei and Freireich, our progress in ALL, and all
14 the other cancers that we've had effective
15 therapies for, has occurred when we've taken agents
16 that have single-agent activity and have combined
17 these with other active agents, and then have
18 conducted sequential clinical trials to determine
19 how best to dose-sequence schedule these agents.

20 I think the one issue with how we do this is
21 when you add a new agent to an existing
22 combination -- if that's what you're doing, and

1 it's the most common thing -- if you do have to
2 reduce one of the standard-of-care agents, this
3 decreases your chances of success. It doesn't mean
4 you won't be successful, but then you have to not
5 only bring new activity, but you have to make up
6 for the activity lost by that standard agent.

7 So the ideal combinations are those when we
8 have the agent that we're bringing in, and we can
9 use it at its effective dose as a single agent and
10 can combine it directly with the regimen that we
11 want to at its standard doses. Rituximab added to
12 CHOP is the classic example of that working very
13 well. So that's just one point about how when we
14 create these combinations, one thing to consider.
15 Thank you.

16 DR. PAPPO: Not overlapping toxicities,
17 right? That's the other point.

18 DR. SMITH: Yes. Generally, if they're
19 non-overlapping toxicities, if you have a
20 myelotoxic agent, you're probably not going to be
21 able to add it to ifos, etopocide, or VAC, but it's
22 possible that if it's another non-heme toxicity,

1 well, that's exactly right, non-overlapping
2 toxicities. It will allow you to add doses,
3 full [indiscernible] doses of all the agents.

4 DR. PAPPO: Then just to expand a little bit
5 on what you were saying, Malcolm, as far as
6 activities of agents, we are also pursuing
7 combinations even when there is lack of activity.
8 For example, checkpoint inhibitors in pediatrics,
9 now we're combining them with TKIs. I guess that
10 is just basically following what the adults are
11 doing, even though there's really not a whole lot
12 of evidence that checkpoint inhibitors have a big
13 role in the vast majority of pediatric solid
14 tumors.

15 DR. SMITH: Yes. Those, of course, are
16 experimental trials. The success in adding agents
17 that don't have single-agent activity to existing
18 combinations, or to active agents, or active
19 combinations has been limited, very limited. So I
20 think, by far, our greatest chance of success is
21 when we can take an agent that does have
22 single-agent activity for the disease we're

1 treating and combine it with other agents with
2 single-agent activity.

3 DR. PAPP0: Thank you, Dr. Smith.

4 Dr. Glade Bender?

5 DR. GLADE BENDER: Julia Glade Bender,
6 Memorial Sloan Kettering. I just want to revisit a
7 point that's been made by many people here, which
8 is really that I think combinations is where we go,
9 but we generally think of the single-agent phase 1
10 trial as the time when you get your PK, and then
11 when you get to a combination study, unless there
12 is a rationale that there may be drug-drug,
13 interaction, the PK becomes less important and/or
14 is difficult to fund, and people are not interested
15 in collecting PK.

16 But I think this is a wonderful opportunity
17 to optimize individual drug doses when one gives
18 them in combination, as was the case in the
19 randomized trial that Dr. Wessel presented at the
20 beginning, where you're looking at both important
21 efficacy, i.e., drugs in combination that are more
22 likely to be effective in children than single

1 agents, and hopefully patients that will be on for
2 a longer period of time.

3 So my plea is that we actually do more PK on
4 our combination therapy trials so that we can
5 optimize new agents in the context where we
6 actually plan to give them and for longer periods
7 of time. I think this is an underutilized
8 opportunity in our current drug development
9 pathway.

10 DR. PAPPO: Thank you.

11 Jonathan?

12 DR. CHENG: Hi. Jon Chen, industry rep. I
13 appreciate the discussion, and I guess I just want
14 to provide the perspective that combination
15 therapy, of course, is what we want to do once we
16 understand the single-agent aspect, including the
17 dose optimization. However, oftentimes for a drug
18 development process, we want it to combine with
19 many, many agents, and I do think it sometimes can
20 be a challenge to dose optimize for each single
21 combination.

22 So I wonder if "dose optimization" is the

1 right word, particularly in a program where you're
2 combining across many indications, across many
3 lines of therapy, and across many combinations,
4 because I would hope that if the dose optimization
5 is done -- and I appreciate the importance of
6 that -- and there isn't drug-drug interaction, and
7 the combination is tolerable, I'm not sure that
8 optimization is always as critical the question.
9 Oftentimes, you're just looking for the ability to
10 combine at full doses. And I think that if one
11 were to need to dose optimize, particularly in a
12 randomized setting, for each combination, that is
13 oftentimes quite an investment of resources, and
14 oftentimes that might take away from other
15 opportunities.

16 So I just wanted to provide that
17 perspective. I do appreciate the importance of
18 dose optimization, but when you get to combination
19 therapy, particularly the multiplicity nature of
20 how many combinations one often wants to provide,
21 as well as across many indications, optimization
22 might be difficult for every single one of those.

1 DR. PAPPO: Thank you very much.

2 Steve?

3 DR. DuBOIS: Thanks so much. I wanted to
4 pick up on something that Dr. Glade Bender said,
5 and it's a little bit of an advertisement for a
6 poster we presented at JCO and a little bit of a
7 cautionary tale for people who publish phase 1
8 clinical trials.

9 We completed an analysis of all of the
10 phase 1 trials published in JCO over a 20-year
11 period, and we're interested to look at the
12 toxicity reporting, not the granular toxicities but
13 where cycle 2 and later DLTs reported, where dose
14 modifications reported, and we were pretty shocked
15 to find that the reporting, once you get past
16 cycle 1, is pretty poor.

17 So specifically, only 9.6 percent of the
18 papers reported whether there were dose-limiting
19 toxicities in subsequent cycles and only 19 percent
20 reported whether there were dose modifications
21 beyond the first cycle. I think we're all end
22 users of those papers, and I think important,

1 particularly to Julia's point, is that patients are
2 often on these, particularly, combination trials
3 for longer periods of time, and it's helpful to
4 understand what the cumulative exposure or the
5 cumulative likelihood of significant toxicity is
6 for these patients.

7 DR. PAPPO: Thank you very much.

8 Any additional comments or questions?

9 (No response.)

10 DR. PAPPO: I will try to summarize this
11 discussion on this final question. We need to take
12 into consideration when we're combining new agents
13 not only dose optimization but also schedule
14 optimization. There are several examples showing
15 the sequential dose, and sometimes it's possible
16 when you combine two agents, whereas when you do it
17 together, you cannot do this combo together.

18 We also need to understand that when we put
19 together the no-agent combinations, that we do not
20 limit the efficacy or perhaps the combination that
21 is active against this disease; so try to be sure
22 that we optimize the combinations and look for

1 non-overlapping toxicities to try to maximize the
2 success of the trial. PK and phase combination
3 trials may also be important to optimize some of
4 these studies, so not only PK in the phase 1 but
5 also the time that you're doing the combination
6 trial. It is also important to define really what
7 dose optimization is in selected patients and the
8 opportunity to combine these agents to try to
9 maximize the activity. So whether "optimization"
10 is the right word is unclear.

11 Then the final comment was that some of
12 these patients, when you use a targeted agent, for
13 example, with combination chemotherapy, they might
14 be on it for a significant amount of time, so we
15 also need to be aware of the fact that there can be
16 other toxicities that are not reported after the
17 first cycle, and we need to keep an eye on the
18 cumulative likelihood of toxicities in this subset
19 of patients.

20 Did I miss anything or does anybody want to
21 add anything to question number 4?

22 (No response.)

1 DR. PAPPO: Okay.

2 So we will now proceed with the FDA closing
3 remarks from Dr. Martha Donoghue.

4 **Closing Remarks - Martha Donoghue**

5 DR. DONOGHUE: Thank you, Dr. Pappo.

6 I first just wanted to thank all of you who
7 participated in today's discussion, as well as
8 those of you online who are listening to us today.
9 I think we had a really robust discussion on dosage
10 optimization in pediatric oncology, and it was
11 exactly what we were hoping to achieve, namely to
12 gain a better understanding of each other's
13 perspectives and to set the stage for further work
14 together.

15 Two things that struck me as I was listening
16 to today's discussions, the first one being that I
17 think we as a community have many areas in which we
18 agree with respect to dosage optimization in
19 oncology for pediatric patients with cancer.
20 Recurring themes include that early initiation of
21 clinical trials of drugs that hold promise for
22 pediatric cancers in pediatric patients is very

1 important and shouldn't wait until the dosage is
2 optimized in adults.

3 What is important in terms of timing is that
4 we have a degree of assurance that there's a
5 prospect of clinical benefit in pediatric patients,
6 and it's preferred to have the information that we
7 need, if possible, to identify the starting dose
8 that will be therapeutic, potentially, in pediatric
9 patients in order to provide that prospect of
10 direct clinical benefit.

11 I think we also agree as a community that
12 optimizing the dosages of new cancer drugs to treat
13 pediatric patients is important, and that there's a
14 need to be thoughtful in our approach to dosage
15 optimization during the drug development continuum
16 due to the scarcity of patients, patient resources,
17 financial resources, and other resources, including
18 time and the need to promote drug development that
19 is efficient as possible.

20 I heard a lot about the importance of
21 pediatric appropriate strengths and dosage forms
22 because they're important for our orally

1 administered drugs, in particular, to precisely
2 deliver the intended dosages we are studying. For
3 those drugs where there's a strong signal or
4 overwhelmingly strong biological rationale, we
5 should work toward having a pediatric and
6 age-appropriate formulation as soon as possible.

7 Lastly, decisions with respect to dosage
8 optimization shouldn't be made in a vacuum. We
9 should leverage our collective experience,
10 including preclinical data and clinical experience
11 in adults; experience with similar drugs in-class,
12 PK/PD data, et cetera, and we should work together
13 as a community to align as much as possible in our
14 decision making, and ongoing communication amongst
15 all stakeholders is essential.

16 And my last impression is that we all have
17 continued work to do as a community in order to
18 optimize our approach to dosage optimization. As
19 Dr. Balis mentioned during part of the discussion,
20 we need better endpoints, and it was reminded that
21 as science and techniques evolve -- including
22 identification of better biomarkers that are

1 for attending, and hopefully we'll see each other
2 next year. We will now adjourn the meeting, and
3 thank you very much.

4 (Whereupon, at 2:50 p.m., the meeting was
5 adjourned.)

6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22