

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
NONPRESCRIPTION DRUGS ADVISORY COMMITTEE MEETING  
(NDAC)

Virtual Meeting

Day 2

Tuesday, September 12, 2023

9:00 a.m. to 11:57 a.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

**Jessica Seo, PharmD, MPH**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**NONPRESCRIPTION DRUGS ADVISORY COMMITTEE MEMBERS**

**(Voting)**

**Kristy Brittain, PharmD, BCPS, CDCES**

Professor, Medical University of South Carolina  
College of Pharmacy  
Clinical Pharmacy Specialist. MUSC Health  
Charleston, South Carolina

**Stephen C. Clement, MD**

Associate Professor of Medical Education  
University of Virginia School of Medicine  
Practicing Physician, INOVA Fairfax Hospital  
Falls Church, Virginia

1 **Diane B. Ginsburg, PhD, MS, RPh, FASHP**

2 Clinical Professor, Pharmacy Practice Division

3 Associate Dean for Healthcare Partnerships

4 The University of Texas at Austin

5 College of Pharmacy

6 Austin, Texas

7

8 **Tonya S. King, PhD**

9 Professor of Biostatistics

10 Department of Public Health Sciences,

11 The Pennsylvania State University College of

12 Medicine

13 Hershey, Pennsylvania

14

15 **Paul Pisarik, MD, MPH, FAAFP**

16 Geriatric Physician

17 Archwell Health

18 Tulsa, Oklahoma

19

20

21

22

1       **NONPRESCRIPTION DRUGS ADVISORY COMMITTEE MEMBER**

2       **(Non-Voting)**

3       **Mark E. Dato, MD, PhD**

4       *(Industry Representative)*

5       Retired: Director, Global Technology, Procter and

6       Gamble Healthcare

7       Evanston, Illinois

8

9       **TEMPORARY MEMBERS (Voting)**

10      **Maryann Amirshahi, PharmD, MD, MPH, PhD**

11      Professor of Emergency Medicine

12      Georgetown University School of Medicine

13      Department of Emergency Medicine

14      MedStar Washington Hospital Center

15      Associate Medical Director

16      National Capital Poison Center

17      Washington, District of Columbia

18

19

20

21

22

1     **Susan J. Blalock, PhD**

2     Professor Emeritus

3     Eshelman School of Pharmacy

4     University of North Carolina at Chapel Hill

5     Chapel Hill, North Carolina

6

7     **TEMPORARY MEMBERS (Voting) (cont.)**

8     **Karim Anton Calis, PharmD, MPH, FASHP, FCCP**

9     Senior Scientist

10    Director of Clinical Research and Compliance

11    Office of the Clinical Director

12    Division of Intramural Research

13    Eunice Kennedy Shriver National Institute of Child

14    Health and Human Development

15    Chair, Institutional Review Board

16    Office of Intramural Research

17    National Institutes of Health

18    Hatfield Clinical Research Center

19    Bethesda, Maryland

20

21

22

1 **Maria C. Coyle, PharmD, FCCP, BCPS, BCACP, CLS**

2 *(Acting Chairperson)*

3 Associate Professor - Clinical

4 Specialty Practice Pharmacist

5 The Ohio State University

6 Columbus, Ohio

7

8 **Emma H. D'Agostino, PhD**

9 *(Acting Consumer Representative)*

10 Consultant

11 Cystic Fibrosis Foundation

12 Lead Medical Writer, BOLDSCIENCE

13 Atlanta, Georgia

14

15 **Mark Dykewicz, MD**

16 Raymond and Alberta Slavin Endowed Professor

17 in Allergy and Immunology

18 Saint Louis University School of Medicine

19 Saint Louis, Missouri

20

21

22

1     **William D. Figg, PharmD, MBA**

2     Senior Investigator

3     Associate Director, Center for Cancer Research

4     Acting Chief, Genitourinary Malignancies Branch

5     Chief, Clinical Pharmacology Program

6     National Cancer Institute, National Institutes of

7     Health

8     Bethesda, Maryland

9

10    **Bridgette Jones, MD, MS**

11    Professor of Pediatrics,

12    Divisions of Allergy/Asthma/Immunology and

13    Pediatric Clinical Pharmacology, Toxicology, and

14    Therapeutic Innovation,

15    Children's Mercy Hospitals and Clinics

16    Kansas City, Missouri

17

18

19

20

21

22

1     **Esther Kim, MD, FARS**

2     Assistant Professor, Otolaryngology/Head Neck  
3     Surgery  
4     Uniformed Services University of the Health  
5     Sciences  
6     Chief, Otolaryngology/Head Neck Department  
7     Fort Belvoir, Virginia

8

9     **Jennifer Le, PharmD, MAS, FIDSA, FCCP, FCSHP**

10    Professor of Clinical Pharmacy  
11    University of California San Diego  
12    Skaggs School of Pharmacy and Pharmaceutical  
13    Sciences  
14    La Jolla, California

15

16    **Jennifer A. Schwartzott, MS**

17    *(Patient Representative)*  
18    North Tonawanda, New York

19

20

21

22



1       **FDA PARTICIPANTS (Non-Voting)**

2       **Theresa Michele, MD**

3       Director

4       Office of Nonprescription Drugs (ONPD)

5       Office of New Drugs (OND), CDER, FDA

6

7       **Nushin Todd, MD, PhD**

8       Director

9       Division of Nonprescription Drugs I (DNPDI)

10      ONPD, OND, CDER, FDA

11

12      **Martha Lenhart, MD, PhD**

13      Deputy Director

14      DNPDI, ONPD, OND, CDER, FDA

15

16      **Steven Adah, PhD**

17      Associate Director for Monographs

18      DNPDI, ONPD, OND, CDER, FDA

19

20      **Peter Starke, MD**

21      Lead Clinical Reviewer

22      DNPDI, ONPD, OND, CDER, FDA

1 **Ben Bishop, PharmD, MSc Reg Sci**

2 Regulatory Review Officer

3 DNPD I, ONPD, OND, CDER, FDA

4

5 **Yunzhao Ren, MD, PhD**

6 Team Leader

7 Division of Inflammation & Immune Pharmacology

8 (DIIP)

9 Office of Clinical Pharmacology (OCP)

10 Office of Translational Sciences (OTS)

11 CDER, FDA

12

13 **Tracy Pham, PharmD**

14 Drug Utilization Analyst

15 Division of Epidemiology II (DEPI II)

16 Office of Surveillance and Epidemiology (OSE)

17 CDER, FDA

18

19

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order	
Maria C. Coyle, PharmD, FCCP, BCPS	12
BCACP, CLS	
Introduction of Committee	
Jessica Seo, PharmD, MPH	12
Summary and Introduction to Discussion	
Martha Lenhart, MD, PhD	22
Charge to the Advisory Committee	
Martha Lenhart, MD, PhD	28
Questions to the Committee and Discussion	30
Adjournment	132

P R O C E E D I N G S

(9:00 a.m.)

**Call to Order**

DR. COYLE: Good morning. Welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Cherie Duvall-Jones. Her e-mail is currently displayed.

My name is Dr. Maria Coyle, and I will be chairing this meeting. I will now call Day 2 of the September 11th and 12th 2023 Nonprescription Drugs Advisory Committee to order. Dr. Jessica Seo is the designated federal officer for this meeting and will begin with introductions.

**Introduction of Committee**

DR. SEO: Thank you, Dr. Coyle.

Good morning. My name is Jessica Seo, and I'm the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and your affiliation. We'll begin by introducing the standing members of the NDAC, starting with Dr. Brittain.

1 DR. BRITTAIN: Good morning. I'm Kristy  
2 Brittain from the Medical University of South  
3 Carolina College of Pharmacy in Charleston, South  
4 Carolina, where I'm a professor, and I also serve  
5 as a clinical pharmacy specialist with MUSC Health,  
6 Charleston. Thank you.

7 DR. SEO: Thank you.

8 Next is Dr. Clement.

9 DR. CLEMENT: Yes. Stephen Clement,  
10 associate professor at two different universities,  
11 Inova Health System in Northern Virginia. I am a  
12 practicing endocrinologist.

13 DR. SEO: Thank you.

14 Next, we have Dr. Ginsburg.

15 DR. GINSBURG: Good morning. I'm Diane  
16 Ginsburg. I'm a clinical professor of pharmacy  
17 practice and the associate team for Healthcare  
18 Partnership at the University of Texas at Austin  
19 College of Pharmacy.

20 DR. SEO: Thank you.

21 Next is Dr. King.

22 DR. KING: Good morning, Tonya King. I'm

1 professor of biostatistics at Penn State College of  
2 Medicine.

3 DR. SEO: Thank you.

4 And Dr. Pisarik?

5 DR. PISARIK: Paul Pisarik, family physician  
6 at Archwell Health in Tulsa, Oklahoma.

7 DR. SEO: Thank you.

8 Next, we have our non-voting industry  
9 representative to the NDAC, Dr. Dato.

10 DR. DATO: Good morning. Mark Dato,  
11 industry rep to NDAC and retired pediatric  
12 pulmonary. Thank you.

13 DR. SEO: Thank you.

14 We'll go on now to our temporary voting  
15 members, and first we have Dr. Amirshahi.

16 DR. AMIRSHAHI: Hi. Maryann Amirshahi. I'm  
17 an emergency medicine physician and professor of  
18 emergency medicine at Georgetown University School  
19 of Medicine. I'm a medical toxicologist for the  
20 National Capital Poison Center and a clinical  
21 pharmacologist.

22 DR. SEO: Thank you.

1 Next, we have Dr. Blalock.

2 DR. BLALOCK: Hi. My name is Sue Blalock.  
3 I'm a professor emeritus at the College of Pharmacy  
4 at the University of North Carolina, and my area of  
5 expertise is medication risk communication.

6 DR. SEO: Thank you.

7 And Dr. Coyle?

8 DR. COYLE: Good morning. Maria Coyle. I'm  
9 an associate professor at the College of Pharmacy  
10 at the Ohio State University in Columbus, Ohio.  
11 I'm also a clinical pharmacy specialist over at our  
12 Wexner Medical Center, practicing in ambulatory  
13 care.

14 DR. SEO: Thank you.

15 And Dr. D'Agostino?

16 DR. D'AGOSTINO: Good morning. Emma  
17 D'Agostino, an advocate with the Cystic Fibrosis  
18 Foundation and a medical writer, and I have a  
19 background in biochemistry.

20 DR. SEO: Thank you.

21 And Dr. Dykewicz?

22 DR. DYKEWICZ: Good morning. I'm Mark

1 Dykewicz. I'm an allergist-immunologist. I'm at  
2 Saint Louis University School of Medicine, where  
3 I'm chief of allergy and immunology and professor  
4 of internal medicine.

5 DR. SEO: Thank you.

6 Next is Dr. Figg.

7 DR. FIGG: Hi. William Figg, clinical  
8 pharmacologist and investigator at the National  
9 Cancer Institute, NIH.

10 DR. SEO: Thank you.

11 And Dr. Jones?

12 DR. JONES: Good morning. My name is  
13 Dr. Bridgette Jones. I'm professor of pediatrics  
14 at University of Missouri, Kansas City School of  
15 Medicine. I'm a pediatric allergy immunologist and  
16 also pediatric clinical pharmacologist at  
17 Children's Mercy Hospital in Kansas City.

18 DR. SEO: Thank you.

19 And next is Dr. Kim.

20 DR. KIM: Good morning. I'm Colonel Esther  
21 Kim. I am an otolaryngologist with a subspecialty  
22 training in rhinology. I'm stationed at Fort



1 Belvoir at the Augusta T. Medical Center.

2 DR. SEO: Thank you.

3 And Dr. Le?

4 DR. LE: Good morning, everyone. I'm  
5 Jennifer Le. I am professor of clinical pharmacy  
6 at the UC San Diego Skaggs School of Pharmacy, and  
7 my area of specialty is pediatric infectious  
8 diseases and clinical pharmacology.

9 DR. SEO: Thank you.

10 And Ms. Schwartzott?

11 MS. SCHWARTZOTT: I am Jennifer Schwartzott,  
12 and I am your patient representative.

13 DR. SEO: Thank you.

14 We'll now introduce our FDA participants,  
15 and we'll begin with Dr. Michele.

16 (No response.)

17 DR. SEO: Dr. Michele, I'm sorry to  
18 interrupt. The audio might be muted. Can we check  
19 the audio in the conference room, please?

20 DR. MICHELE: Can you hear me now?

21 DR. SEO: Yes. You're coming through loud  
22 and clear. Thank you.

1 DR. MICHELE: Very good.

2 Good morning, everyone. I'm Theresa  
3 Michele. I am the director of the Office of  
4 Nonprescription Drugs, and I am a practicing  
5 pulmonary critical care physician.

6 DR. SEO: Thank you.

7 Next, we have Dr. Todd.

8 DR. TODD: Good morning. I'm Nushin Todd.  
9 I'm the director of the Division of Nonprescription  
10 Drugs I, and my background is medical oncology.  
11 Thank you.

12 DR. SEO: Thank you.

13 And next is Dr. Lenhart.

14 DR. LENHART: Good morning. I'm Martha  
15 Lenhart, the deputy director of the Division of  
16 Nonprescription Drugs I in the Office of  
17 Nonprescription Drugs. Thank you.

18 DR. SEO: Thank you.

19 And we have Dr. Adah.

20 DR. ADAH: Good morning. Steven Adah,  
21 associate director for monographs, Division of  
22 Nonprescription Drugs I. Thank you.

1 DR. SEO: Thank you.

2 Next is Dr. Starke.

3 DR. STARKE: Good morning. I'm Dr. Peter  
4 Starke. I'm lead clinical reviewer in the Division  
5 of Nonprescription Drugs I. Thank you.

6 DR. SEO: Thank you.

7 And Dr. Bishop.

8 LCDR BISHOP: Good morning. I am Dr. Ben  
9 Bishop, pharmacist and reviewer in the Division of  
10 Nonprescription Drugs I.

11 DR. SEO: Thank you.

12 We also have Dr. Ren.

13 DR. REN: Good morning. This is Yunzhao  
14 Ren, the acting team leader of Division for  
15 Inflammation and Immune Pharmacology in the Office  
16 of Clinical Pharmacology, FDA.

17 DR. SEO: Thank you.

18 And finally, Dr. Pham.

19 DR. PHAM: Good morning. My name is Tracy  
20 Pham. I am a drug use analyst from the Division of  
21 Epidemiology, Office of Surveillance and  
22 Epidemiology.

1 DR. SEO: Thank you all, and I'll return the  
2 floor to you, Dr. Coyle.

3 DR. COYLE: Thank you.

4 For topics such as those being discussed at  
5 this meeting, there are often a variety of  
6 opinions, some of which are quite strongly held.  
7 Our goal is that this meeting will be a fair and  
8 open forum for discussion of these issues and that  
9 individuals can express their views without  
10 interruption. Thus, as a gentle reminder,  
11 individuals will be allowed to speak into the  
12 record only if recognized by the chairperson. We  
13 look forward to a productive meeting.

14 In the spirit of the Federal Advisory  
15 Committee Act and the Government in the Sunshine  
16 Act, we ask that the advisory committee members  
17 take care that their conversations about the topic  
18 at hand take place in the open forum of the  
19 meeting.

20 We are aware that the members of the media  
21 are anxious to speak with the FDA about these  
22 proceedings; however, FDA will refrain from

1 discussing the details of this meeting with the  
2 media until its conclusion. Also, the committee is  
3 reminded to please refrain from discussing the  
4 meeting topic during breaks or lunch. Thank you.

5 Dr. Seo, could we go back and ask Dr. Calis  
6 to introduce himself into the record? I think we  
7 might have missed him.

8 DR. SEO: I am so sorry. I apologize for  
9 that.

10 Dr. Calis, if you could please state your  
11 name and introduce yourself into the record.

12 DR. CALIS: Yes. Good morning. I'm Karim  
13 Calis. I am a senior scientist at the NIH in  
14 Bethesda, Maryland, where I work as director of  
15 Clinical Research and Compliance for the National  
16 Institute of Child Health and Human Development,  
17 and I'm also chair of the NIH IRB in the Office of  
18 Intramural Research. Thank you.

19 DR. SEO: Thank you so much, Dr. Calis, and  
20 back to you, Dr. Coyle.

21 DR. COYLE: Thank you.

22 We will now proceed with the summary and

1 introduction to discussion, followed by the charge  
2 to the committee from Dr. Martha Lenhart.

3 **Summary and Introduction to Discussion**

4 **Martha Lenhart**

5 DR. LENHART: Good morning, and welcome to  
6 Day 2 of our meeting. I'm Martha Lenhart, the  
7 deputy director, Division of Nonprescription I. As  
8 noted yesterday, the main objective of this meeting  
9 is to consider the efficacy of oral phenylephrine  
10 as a nasal decongestant, particularly the  
11 phenylephrine data that has become available since  
12 the committee last considered this topic in 2007.

13 With the availability of new studies since  
14 FDA last evaluated oral phenylephrine under the  
15 cough-and-cold monograph, the agency undertook a  
16 careful and thorough review of all the available  
17 data. We are asking you to help us think  
18 critically about the data, and what those data may  
19 or may not show.

20 Phenylephrine is one of two orally  
21 administered alpha-1 adrenergic receptor agonists  
22 that were recognized as GRASE in the Cough-Cold

1 Monograph published in 1994. Phenylephrine is also  
2 GRASE for direct intranasal and topical uses. This  
3 meeting is focused specifically on the use of  
4 orally administered phenylephrine as a nasal  
5 decongestant. The indication of oral phenylephrine  
6 is the temporary relief of nasal congestion  
7 regardless of etiology. The monographed dose for  
8 adolescents and adults is 10 milligrams every  
9 4 hours, not to exceed 60 milligrams in 24 hours.

10 The regulatory standard for effectiveness of  
11 monographed drugs from the Code of Federal  
12 Regulations state that effectiveness means a  
13 reasonable expectation in a significant portion of  
14 the target population that the pharmacological  
15 effect of the drug will provide clinically  
16 significant relief of the type claimed. Further,  
17 proof of effectiveness shall consist of controlled  
18 clinical investigations.

19 Let's now review the 2007 NDAC meeting. It  
20 was convened to discuss the safety and  
21 effectiveness of oral phenylephrine as a nasal  
22 decongestant because of additional data that had

1       become available since FDA's GRASE finding for  
2       phenylephrine in 1994. At the meeting in 2007, the  
3       committee also considered the original studies  
4       supporting effectiveness for oral phenylephrine.

5               The committee noted that results were not  
6       consistent across studies in terms of nasal airway  
7       resistance and agreed that symptoms should be the  
8       essential primary endpoint for efficacy studies of  
9       congestion. The committee also noted that evidence  
10      of efficacy consisted primarily of studies  
11      conducted decades earlier and on limited numbers of  
12      subjects, and due in part to the small size of the  
13      studies, that nasal airway resistance results may  
14      not be generalizable to a wide population.

15              For clarification, three voting questions  
16      were posed at the 2007 NDAC. For the first voting  
17      question, the advisory committee disagreed with the  
18      statement that phenylephrine in a 10-milligram,  
19      immediate-release formulation has been shown to be  
20      effective when dosed every 4 hours for the  
21      symptomatic treatment of nasal congestion, and that  
22      no additional studies are needed to support its



1 effectiveness. In other words, did it meet GRASE  
2 standards? Two voted yes; ten voted no.

3 The second question was worded, given the  
4 available data that exist, the evidence is  
5 supportive that the 10-milligram, immediate-release  
6 formulation may be effective. Eleven voted yes,  
7 one voted no. And the third question asked whether  
8 additional studies are needed to assess the  
9 efficacy and safety of higher doses. Nine voted  
10 yes; three voted no.

11 In conclusion, the committee recommended  
12 that additional trials be conducted, specifically  
13 multicenter, parallel, randomized, blinded,  
14 placebo-controlled trials, preferably with an  
15 active control, to evaluate nasal congestion scores  
16 and symptom relief. In the years since the 2007  
17 NDAC, more data have become available.

18 Certain clinical pharmacology information  
19 presented yesterday by Dr. Ren was not available to  
20 the 1970s DESI panel. This includes that only  
21 parent phenylephrine with its metabolites is  
22 active. We note discussion yesterday about the

1 bioavailability of phenylephrine. Due to the  
2 extensive first-pass mechanism, only a fraction of  
3 the orally administered dose is present as parent  
4 phenylephrine in the systemic circulation. The  $C_{max}$   
5 value of parent phenylephrine following an oral  
6 dose of 10 milligrams is low, about 1 nanogram per  
7 mL. One nanogram per mL is approximately one-third  
8 of the in vitro alpha-1 adrenergic  $EC_{50}$  value.

9 Because the blood vessel is the target organ  
10 and the site of action for parent phenylephrine,  
11 the plasma concentration of parent phenylephrine is  
12 clinically relevant. This peak plasma  
13 concentration is unlikely to achieve a  
14 pharmacologic effect in the nasal mucosa needed for  
15 nasal decongestant. Both the PK and PD data  
16 suggests that a much higher dose of oral  
17 phenylephrine may be needed to achieve a nasal  
18 decongestant effect.

19 Original data that supported the GRASE  
20 determination, along with new data, were reviewed  
21 and presented by Dr. Starke. The original efficacy  
22 studies conducted prior to the 2007 NDAC do not

1 meet today's trial design standards. For example,  
2 clinical and statistical methodology issues of  
3 these studies included small study sizes that limit  
4 generalizability and inconsistent results across  
5 early studies, suggesting possible data integrity  
6 issues, and of primary importance, the use of  
7 unvalidated nasal airway resistance, or NAR, for  
8 efficacy assessments. In contrast to NAR, nasal  
9 symptoms scores are the gold standard as an  
10 endpoint because they directly measure the symptom  
11 of interest. Every drug approved over the past  
12 30 years in the cough, cold, allergy space has  
13 demonstrated to be effective based on nasal  
14 symptoms scores.

15 Studies conducted after the DESI panel  
16 review and presented at the 2007 NDAC consisted of  
17 single-center, proof-of-concept studies. For these  
18 studies, nasal congestion score results showed that  
19 a 10-milligram oral phenylephrine dose was not  
20 significantly different from placebo. More recent  
21 studies consisting of multicenter, parallel,  
22 randomized, blinded, placebo-controlled trials also

1 showed that a 10-milligram dose of phenylephrine  
2 was not significantly different from placebo. In  
3 summary, the new data appear compelling that the  
4 monographed dose of oral phenylephrine results in  
5 no meaningful systemic exposure and no evidence of  
6 efficacy.

7 **Charge to the Advisory Committee - Martha Lenhart**

8 DR. LENHART: At this time, let's move to  
9 the charge to the advisory committee. These are  
10 the questions we ask you, the advisory committee,  
11 to discuss and vote upon. There are three  
12 discussion questions and one voting question. We  
13 note that the questions are reframed from those of  
14 the briefing document and are provided as a final  
15 questions document in the meeting materials. We  
16 ask you to focus on the data during your  
17 discussions rather than on a regulatory decision.  
18 I will present the questions sequentially in the  
19 following slides.

20 The first question is a discussion question.  
21 Discuss the current scientific efficacy and  
22 pharmacokinetic data for phenylephrine. For this

1 question, we are asking you to discuss the data  
2 available to the 2007 NDAC and beyond. The second  
3 question is a voting question. You are asked to  
4 provide a yes or no response to the question, do  
5 the current scientific data that were presented  
6 support that the monographed dosage of orally  
7 administered phenylephrine is effective as a nasal  
8 decongestant? Base your response on the efficacy  
9 standard noted by Dr. Michele yesterday and  
10 provided in the slides today.

11 If you respond in the affirmative, please  
12 discuss what data you consider supportive.  
13 Identify specific studies that you find supportive  
14 and why those studies are supportive. If your vote  
15 is no, please discuss what additional data, if any,  
16 are needed to assess phenylephrine pharmacokinetics  
17 or efficacy.

18 The third question, a discussion question,  
19 asks you to discuss whether the current scientific  
20 data that were presented support that a dose of  
21 orally administered phenylephrine higher than the  
22 monographed dosage would be safe and effective. We

1 are interested in discussion of higher than the  
2 monographed dose for adolescents and adults of  
3 10 milligrams every 4 hours, not to exceed  
4 60 milligrams in 24 hours. For the last question,  
5 a discussion question, we are asking you to discuss  
6 the implications for and communication strategies  
7 to consumers regarding the current oral  
8 phenylephrine data.

9 In closing, thank you for your thoughtful  
10 consideration of these questions, and now I'll  
11 return the floor to Dr. Coyle.

12 DR. COYLE: Thank you, Dr. Lenhart.

13 DR. LENHART: Thank you.

14 **Questions to the Committee and Discussion**

15 DR. COYLE: The committee will now turn its  
16 attention to address the task at hand, the careful  
17 consideration of the data before the committee, as  
18 well as the public comments. We will proceed with  
19 the questions to the committee and the panel  
20 discussion. I would like to remind public  
21 observers that while this meeting is open for  
22 public observation, public attendees may not

1 participate except at the specific request of the  
2 panel.

3 After I read each question, we will pause  
4 first for any questions or comments concerning its  
5 wording, and then we will open the question to  
6 discussion itself. And I would just like to  
7 encourage all of our members, including the  
8 non-voting members of the advisory committee, to  
9 participate in this discussion and to share your  
10 expertise so that we can have a very well-rounded  
11 and robust discussion of the issues at hand today.

12 So let's proceed to our first question,  
13 which is a discussion question. Question 1, again,  
14 we're going to first just focus on the wording and  
15 be sure that it's clear, discuss the current  
16 scientific efficacy and pharmacokinetic data for  
17 phenylephrine.

18 Are there any concerns or clarifications  
19 needed on the question itself?

20 (No response.)

21 DR. COYLE: If there are no questions or  
22 comments concerning the wording of the question, I

1 will now open the question to discussion.

2 Dr. Figg, please go ahead.

3 DR. FIGG: Sure. Two points to be made on  
4 the pharmacokinetics. First, the 30 percent that  
5 was originally reported is not because of, really,  
6 the assay, but it's really because it was measuring  
7 the isotope. So a metabolite that still has the  
8 isotope was being measured, so that has nothing to  
9 do with what was the parent concentration in the  
10 systemic circulation.

11 Second, there was a lot of trying to confuse  
12 things yesterday, in my opinion, for CHPA's  
13 discussion about metabolites. First-pass  
14 metabolism does not result in the parent drug being  
15 in the systemic circulation, and bioavailability is  
16 really only the parent drug in the circulation, so  
17 that 1 percent is accurate or close to it. So both  
18 of those points suggest the concentration of  
19 phenylephrine being achieved in the circulation is  
20 substantially low.

21 DR. COYLE: Thank you, Dr. Figg.

22 I'll go ahead and call on Dr. Dato. Again,



1 please state your name for the record.

2 DR. DATO: Hi. Mark Dato. Yesterday, at  
3 least my understanding, there seemed to be a  
4 distinction being drawn in the study populations  
5 between common cold and allergic rhinitis, and I  
6 don't know if it's possible at this time, but  
7 Dr. Druce seemed to be dismissing the population of  
8 the 2007 and post-studies based on allergic  
9 rhinitis versus common cold, which were the  
10 majority of pre-2007.

11 I'm just curious if he could comment on why  
12 he feels those populations, one, are different, and  
13 two, why the post-2007 shouldn't be considered.

14 DR. COYLE: CHPA, is Dr. Druce available?

15 DR. HOWARD: He is. I'll ask him to  
16 approach, and may we have him approach now? Okay.

17 DR. DRUCE: Thank you. Howard Druce. In  
18 response to the question, there are both  
19 similarities and differences between common cold  
20 and allergic rhinitis. There was a presentation  
21 yesterday of the pathophysiology, and you saw that  
22 there's a different pathophysiology but a

1 commonality with the blood vessels and the  
2 mechanism of congestion and decongestion, and you  
3 heard Dr. Meltzer from the public presentation  
4 expressing the same sentiment.

5 Most of the studies that were supporting the  
6 pre-2007 studies were in the common cold model, and  
7 they were extrapolated because of this commonality  
8 to allergic rhinitis because of the common  
9 vasculature and the common mechanism there. But  
10 admittedly, most of the data is in the common cold,  
11 and the strength of the data is based there.

12 The newer studies do not mirror the earlier  
13 studies in the sense that they are looking at a  
14 different population of people. They're looking at  
15 people who have sustained nasal congestion.  
16 They're looking at people who have year-on-year  
17 repetitive seasonal symptoms and not the temporary  
18 type of nasal congestion that can happen in that  
19 condition but can also happen independently, which  
20 is where people don't end up seeing healthcare  
21 practitioners.

22 So summarizing all of that, all the new

1 studies do not address, at least in my mind, the  
2 question of efficacy of phenylephrine for temporary  
3 relief of nasal congestion, and therefore it would  
4 not be expected that they would necessarily answer  
5 that question, and so the bulk of the data is in  
6 the common cold model. Thank you.

7 DR. DATO: Thank you.

8 DR. HOWARD: And I would like to to add that  
9 I know we talked a lot yesterday about the various  
10 treatment options, but just so that we're all  
11 clear, there is no oral treatment option besides  
12 phenylephrine that provides temporary relief that  
13 is available OTC without restriction. So that  
14 means that only pseudo would be available, but  
15 that's restricted behind the counter.

16 And it matters because, like for me, I live  
17 in West Virginia, and there's no local pharmacy in  
18 my hometown, so if I needed something with  
19 pseudoephedrine, I'd have to drive to the next town  
20 over. And when we think about the alternatives,  
21 the oral and the intranasal antihistamines, they  
22 treat allergic rhinitis, but they're not indicated

1 for temporary relief of nasal congestion due to the  
2 common cold. And the intranasal decongestants do  
3 provide temporary relief for allergic rhinitis and  
4 common cold, but consumers prefer --

5 DR. COYLE: I'm going to interrupt slightly  
6 because this isn't really relevant to the question  
7 that was asked by Dr. Dato. So that may come up  
8 later by one of the panel members, but for now I'd  
9 like to invite FDA to respond to the same question  
10 that Dr. Druce responded to in terms of the study  
11 population for the newer studies. Thank you.

12 DR. MICHELE: Thank you, Dr. Coyle, and  
13 thank you, Dr. Druce [sic - Dato], for that  
14 question. This is Teresa Michele, Nonprescription  
15 Drugs at FDA. I want to go back to the indication  
16 for phenylephrine. The indication for  
17 phenylephrine is for the temporary relief of nasal  
18 congestion regardless of indication, so it covers  
19 both the allergic rhinitis indication, as well as  
20 the common cold indication. And as we heard  
21 yesterday, the physiology of the nasal congestion  
22 is the same for both indications.

1           So this is a very broad indication, and the  
2       studies that were conducted more recently, the  
3       placebo-controlled trials that were presented from  
4       both Merck and Johnson & Johnson, as well as the  
5       environmental exposure chambers, were designed to  
6       support the same indication that is currently for  
7       phenylephrine, so we do believe that those studies  
8       are relevant. Thank you.

9           DR. COYLE: Thank you, Dr. Michele.

10          Dr. Dykewicz, please go ahead.

11          DR. DYKEWICZ: Two points. I do believe  
12       that the studies looking at seasonal allergic  
13       rhinitis are pertinent to looking at the  
14       indication, as just stated by the FDA, and I find  
15       it difficult to consider, or to conceive the idea,  
16       that there would be substantial improvement in  
17       congestion with the common cold when we're seeing  
18       lack of efficacy in seasonal allergic rhinitis.

19          The other thing is just lest we forget,  
20       although most of the more modern studies have been  
21       in allergic rhinitis, we do have the  
22       Johnson & Johnson cold trial that had an enrollment

1 of 193 subjects -- and for members of the  
2 committee, that would be back on the FDA slides 70  
3 and following -- and that study failed to show any  
4 improvement in congestion scores in the setting of  
5 a common cold.

6 So I think the data with that is consistent  
7 with the allergic rhinitis data and demonstrates  
8 that modern studies well conducted are not showing  
9 any improvement in nasal congestion with  
10 phenylephrine. Thank you.

11 DR. COYLE: Thank you, Dr. Dykewicz.

12 Dr. Le, go ahead, please.

13 DR. LE: Hi. Jennifer Le from UC San Diego.  
14 I just wanted to thank Dr. Figg for confirming the  
15 bioavailability of oral phenylephrine because I  
16 think what the data presented yesterday was kind of  
17 confusing, especially if you're not coming from a  
18 clinical pharmacology background, so thank you for  
19 that.

20 I do want to also add on the pharmacology  
21 side the comments about volume of distribution;  
22 that since it's very high, the assumption would be

1 it goes into pretty much all the tissues. While  
2 that may be true, the consideration that we have  
3 here is really whether or not it goes into the  
4 nasal mucosa for the site of action. My thoughts  
5 are without adequate data to support that, I don't  
6 think we can make the assumption that it's going to  
7 the site of action, and I wanted to see if CHPA has  
8 comments on that, as well as the FDA.

9 DR. HOWARD: Just a moment.

10 We'll ask you to repeat the question, as  
11 Dr. Gelotte is approaching the podium, and then if  
12 Dr. Druce has anything to add, he'll also be able  
13 to.

14 DR. LE: Sure. My question was on  
15 surrounding the volume distribution, and this was  
16 brought up by Dr. Gelotte yesterday in terms of  
17 since it has a high distribution, the assumption  
18 would be it goes into many tissues. But I think  
19 the question we have is whether or not it gets to  
20 the site of action for the indication, which is the  
21 nasal mucosa. And my belief is that if we don't  
22 have real data to support that it actually gets

1 there, we cannot make that assumption that it does.

2 DR. GELOTTE: Okay. The data that we  
3 brought up yesterday for you, it was in the core  
4 presentation where we showed the pharmacokinetic  
5 curve with an array of the nasal airway resistant  
6 curves. Now, those are physiological responses to  
7 the drug in the nasal mucosa, so it does distribute  
8 there, and that's where you get -- can I share a  
9 slide?

10 DR. COYLE: Yes. Which slide?

11 DR. GELOTTE: Oh, thank you.

12 Yes. So this was the one we were speaking  
13 about yesterday, where you see the pharmacokinetic  
14 curve in the plasma on the left, and then you see  
15 the nasal airway resistance, which is a  
16 physiological response in the nose and increasing  
17 air flow. So this is indirect evidence because we  
18 don't actually measure in the tissues, but it needs  
19 to get into the tissues in order to have this  
20 pharmacodynamic response in the nasal mucosa. So  
21 this is the indirect evidence that the drug gets to  
22 the site of action.



1 DR. LE: Thank you for that. I'd like to  
2 get some comment from the FDA, if possible.

3 DR. GELOTTE: Thank you.

4 DR. COYLE: Yes, I'll recognize, Pham, who  
5 has their hand up as well.

6 Dr. Pham -- or Dr. Ren. I apologize.

7 DR. REN: Hello. This is Yunzhao Ren.  
8 Hello? Can you hear me?

9 DR. COYLE: Yes.

10 DR. REN: Hi. This is Yunzhao Ren again.  
11 So yes, we agree that currently there's no data  
12 available directly measuring the phenylephrine  
13 concentration in the nasal mucosa, and we also  
14 agree that because there is lack of evidence, that  
15 the nasal mucosa can enrich the phenylephrine  
16 concentration locally. So therefore, it's likely  
17 the phenylephrine concentration in the nasal  
18 mucosa, in the blood vessel of the nasal mucosa, is  
19 probably the same concentration in the plasma or in  
20 the blood, and it's lower than the in vitro EC<sub>50</sub>  
21 values.

22 DR. LE: Thank you.

1 DR. COYLE: Dr. Le, did that address your  
2 question or would you like additional information  
3 from someone else?

4 DR. LE: I think that addresses it. Thank  
5 you.

6 DR. COYLE: Okay. Great. Thank you.

7 Then I'm going to call on Dr. Clement.  
8 Please go ahead.

9 DR. CLEMENT: Yes. Thank you very much.  
10 Steve Clement. As I mentioned yesterday, I'm  
11 learning like crazy on this. Obviously, I don't  
12 see these patients personally in my current field  
13 but I do work with pulmonologists and allergists,  
14 and actually one of my closest colleagues is an ENT  
15 at George Washington. So without giving him data  
16 about the study, I asked him, "What does the nasal  
17 mucosa look like?" I'm very visual, so I want to  
18 talk to people that actually see this every day,  
19 and he looks at the nasal mucosa every day in his  
20 patients.

21 His comment -- and we have one of the  
22 committee members as an ENT as well, and I'll be

1 interested in her feedback -- is that when you look  
2 at engorged septa and engorged mucosa in the nose,  
3 it looks the same, whether it's allergic rhinitis  
4 or a cold. He cannot see a difference on that.

5 There may be difference in goblet cells, and mast  
6 cells, or whatever in terms of histology, but when  
7 they're looking at it, it looks exactly the same.

8 So I was really concerned about this big  
9 argument, that could we be missing something or not  
10 having really solid data on the common cold, but  
11 from actually physically looking at it, which is a  
12 little bit of a crude measurement, it looks exactly  
13 the same. When I just historically look at the  
14 data on pseudoephedrine, pseudoephedrine worked,  
15 and it worked regardless of whether it was common  
16 cold or seasonal allergic rhinitis.

17 So the argument that these are different  
18 diseases I sort of pushed back a little bit, at  
19 least from the data that I'm looking at, is that  
20 there are more similarities than differences in  
21 terms of the pathophysiology, the engorgement of  
22 the mucosa and the cause of the airway obstruction.

1           That's all leading up to the issue -- well,  
2           first I'll go back to the beginning. I think the  
3           older data is completely not credible. That was my  
4           first comment. The PK data is very compelling  
5           thanks to the work of the FDA and their  
6           discussions, that it's less than 1 percent. So if  
7           you get less than 1 percent, it really explains a  
8           lot of the negative data right off the top.

9           The Merck data was incredibly compelling, is  
10          that they showed no signal at all, not even a tinge  
11          of a signal up to 40 milligrams, which is 4 times  
12          the current available dose, and in multiple  
13          studies, in their dose-finding study, and also in  
14          their 30 milligrams ER 2011 study, no signal, none,  
15          zero.

16          The last one, the J&J study, it would be  
17          great, if we had a perfect world, to have a  
18          completely done study in the cold, particularly if  
19          they had an active control such as pseudoephedrine,  
20          which we don't have. So if we had a perfect world  
21          and unlimited resources, it would be great to see  
22          that study done with all those things to really

1 look a little bit closer at the common cold, but  
2 given that we don't have incredible unlimited  
3 resources, we do have PK data that shows that this  
4 is probably going to be a futile study to begin  
5 with. I think we have enough already. I don't  
6 think we need any additional data, and I'll  
7 conclude my comments with that. Thank you very  
8 much.

9 DR. COYLE: Thank you.

10 So I'm going to recognize myself here at  
11 this moment. Maria Coyle. I have two questions,  
12 and I'd like to direct them, really, to my fellow  
13 advisory committee members first, and then if more  
14 information is needed, maybe we'll call on some  
15 other experts.

16 My first question really goes to this point  
17 of efficacy versus effectiveness in the real world.  
18 I would be curious from my colleagues here on this  
19 call, who do work with patients who have nasal  
20 congestion, either as part of an allergic rhinitis  
21 or some other syndrome, what would you consider to  
22 be an effective resolution of symptoms or

1 improvement in symptoms?

2 I think we heard both from the FDA and from  
3 CHPA that it's difficult to quantify how much nasal  
4 airway resistance change is discernible or worth it  
5 to a patient, or even how much a change in the  
6 nasal congestion scores are worth it to a patient.  
7 So I would be curious as to what you would view as  
8 an effective treatment for nasal congestion, and if  
9 someone could speak to that from our committee.

10 Dr. Le, your name hand is up. Please go  
11 ahead.

12 DR. LE: Sure. Actually, I'm speaking from  
13 my own son who has asthma, and he has really bad  
14 nasal congestion for months now. And the only  
15 thing that really works for him when we need it  
16 would be what was mentioned yesterday, inhaled  
17 corticosteroid with inhaled Astepro. Once, I  
18 believe, he was given oral pseudoephedrine, but  
19 phenylephrine never really worked for him.

20 DR. COYLE: Thank you.

21 Dr. Dykewicz?

22 DR. DYKEWICZ: Well, I could respond to that

1 in several different ways, and I guess I first of  
2 all would say, because I am an allergist-  
3 immunologist, I am seeing people who have not done  
4 well, or they failed to benefit from treatments  
5 that they have obtained over the counter. Of  
6 course, in the whole big perspective these days,  
7 that includes not only over-the-counter  
8 phenylephrine; some patients have taken  
9 behind-the-counter pseudoephedrine, nasal  
10 corticosteroids, and so forth.

11 So I think oftentimes when a patient comes  
12 into the office, I'm getting a global report from  
13 the patient, not necessarily in quantitative terms,  
14 but just, "This is not giving me the relief I need  
15 with nasal congestion. I'm having difficulty  
16 sleeping at night. I'm having difficulty  
17 functioning during the day." And you can  
18 administer to patients some scoring system, some  
19 questionnaires, and that you can get a quantitative  
20 sense about this, but I think in general practice,  
21 it's kind of a global sense from the patient about  
22 whether they feel it's enough benefit to them

1           That being said, there is a big placebo  
2 effect in treatment of rhinitis. For instance,  
3 it's not uncommon that you'll see 30 percent  
4 improvement with placebo in many patients. So the  
5 fact that some patients think that they are getting  
6 relief from specifically oral phenylephrine can be  
7 a placebo effect, and I think our role, not just  
8 from a regulatory standpoint but also healthcare  
9 providers, is to make recommendations to patients  
10 that will give them the best benefit for relief of  
11 their symptoms. And that would be my approach as  
12 to the question about whether oral phenylephrine is  
13 giving the benefits that they need beyond placebo.

14           DR. COYLE: Thank you.

15           Then I have one follow-up question to you,  
16 Dr. Dykewicz. If you have a patient with  
17 significant symptoms, would you be inclined to  
18 direct them over the counter or would you be more  
19 inclined to provide some sort of a prescription  
20 decongestant? And maybe that depends, but --

21           DR. DYKEWICZ: It very much depends on the  
22 patient. I would say, typically, by the time a



1 patient is coming, and suffering, into my office,  
2 I'm not simply recommending, let's say for allergic  
3 rhinitis, that they get behind-the-counter  
4 pseudoephedrine, which we know has established  
5 efficacy, but depending on the severity of the  
6 symptoms, how frequently they're having symptoms, I  
7 would be recommending nasal preparations.

8 I do recognize that patients would prefer to  
9 have oral agents if they could, but if you look at  
10 the relative effectiveness of the nasal  
11 preparations that are available, and I'm talking  
12 short-term nasal decongestants, nasal  
13 corticosteroids, which are available over the  
14 counter, and intranasal antihistamines that are  
15 available over the counter, those are all the  
16 options that I would give, depending on the  
17 severity of the patient, to a patient to get them  
18 the relief that they need.

19 DR. COYLE: Thank you.

20 I'd like to recognize Dr. Kim. Please go  
21 ahead.

22 DR. KIM: Good morning. I guess on the

1 panel, I'm the only otolaryngologist giving my  
2 perspective. When we evaluate patients who have  
3 nasal congestion, we're going to look at them in a  
4 way that may warrant some sort of surgical  
5 intervention, and a lot of times we're seeing these  
6 patients after they've tried basically everything  
7 to help them with their obstruction; however, we  
8 still have to go through our due diligence to make  
9 sure that they've at least tried the medications  
10 for a period of time before we determine if they  
11 failed or not.

12 I do want to point out that the way we  
13 evaluate our patients is that we are going to be  
14 looking at the lining of their nose, the size of  
15 their turbinates, position of their septum, and we  
16 also evaluate their nasal valve. So when we look  
17 at that in its entirety, we look at nasal  
18 obstruction as being very multifactorial. With  
19 regards to medications, I will say that the vast  
20 majority of my patients probably have already tried  
21 the over-the-counter preparations that are  
22 available but, in general, the way maybe an

1 otolaryngologist would look at their medical  
2 management is if they have been on topical  
3 corticosteroids, topical antihistamines, and would  
4 be our basis and our standards of care to see if  
5 their medical management was sufficient.

6 I would say that from the standpoint of the  
7 way I understand phenylephrine, because this is  
8 going to work on the alpha adrenergic as an  
9 agonist, for the most part, we look at the  
10 turbinates as really being the only vascular  
11 structure that is reversible inside the nose. Now,  
12 there is some argument that maybe there are some  
13 swell bodies in the nasal cavity, such as the swell  
14 bodies on the septum or on the floor of the nose,  
15 but those in general are probably not consistent  
16 across patients. It's just really mainly the  
17 action on the inferior turbinates.

18 So again, this becomes a little bit of a  
19 confounder because you have the other structures in  
20 the nose that can contribute to the nasal  
21 obstruction, but by and large, the inferior  
22 turbinates, which is going to be mainly what's

1 being targeted by phenylephrine, I will say that  
2 this is not a common medication that I will be  
3 reviewing because we don't feel that that is a  
4 sufficient medication that is going to affect the  
5 turbinates.

6 Then there is data that I'm reviewing here,  
7 where a lot of it is reviewing the nasal airway  
8 resistance and, again, when I look at the nasal  
9 cavity, there are so many other factors that can go  
10 into that determination. If there was a  
11 significant improvement with the phenylephrine, we  
12 would see sort of marked reductions in that nasal  
13 airway resistance, but at the end of the day don't  
14 really have a significant improvement, is basically  
15 how I have seen a lot of the studies. You know, at  
16 best, you may have 60 minutes of improvement, but  
17 if the medication is being dosed every 4 hours,  
18 then perhaps for 3 hours you're still sort of  
19 suffering and not getting any benefit.

20 DR. COYLE: Thank you, Dr. Kim. I have a  
21 follow-up question. I'm paraphrasing a little bit,  
22 so please tell me if I'm understanding this

1 accurately. It sounds like from what you were  
2 saying, that a patient coming with a clinical  
3 history of using an over-the-counter or  
4 prescription nasal steroid or nasal antihistamine  
5 might provide some clinically useful information,  
6 but a patient taking oral phenylephrine might not  
7 provide a lot of clinically useful information for  
8 you in deciding --

9 DR. KIM: That's right.

10 DR. COYLE: Okay.

11 DR. KIM: That's right. That's certainly  
12 not part of my personal evaluation of patients.  
13 The way, I would say, the majority of our data  
14 would demonstrate the effective medicines that we  
15 would prescribe our patients on whether or not we  
16 have reversible nasal obstruction is going to be  
17 the usage [indiscernible] of the intranasal  
18 corticosteroids plus or minus the intranasal  
19 antihistamines.

20 Again, this is going to be more on the  
21 allergic rhinitis thing; I recognize that. I know  
22 there was a lot of discussion about having an

1 actual cold. We probably would not be prescribing  
2 topical antihistamines in somebody who is  
3 presenting with an acute upper respiratory  
4 infection causing some nasal obstruction. So I do  
5 want to just make sure that that is clear, that  
6 this is really more in the setting of a patient  
7 coming in with really more chronic symptoms, which  
8 we would designate as patients having symptoms for  
9 greater than 6-to-10 weeks or so.

10 DR. COYLE: Thank you. Thank you, Dr. Kim,  
11 and I appreciate that context; very important.

12 Dr. Jones, please go ahead.

13 DR. JONES: Yes. I just wanted to give  
14 perspective also from the pediatric side. I mainly  
15 see children as an allergist, so I mainly see  
16 children with allergies. So just thinking about  
17 what's meaningful to patients and to families in  
18 pediatrics, we always want to have objective  
19 measures that we can assess in clinical trials, but  
20 at the end of the day, the thing that is most  
21 meaningful are the symptoms that the patients are  
22 experiencing and how they're able to go along with

1 their daily activities.

2 In children, the things that come up are  
3 related to congestion; or allergic rhinitis; or  
4 interfering with sleep; interfering with being able  
5 to eat; interfering with not being able to  
6 participate in normal activities like sports and  
7 exercise; and also having this mouth breathing,  
8 which a lot of kids don't like to be mouth  
9 breathers for many reasons. They might get teased  
10 at school and that type of thing. So those are the  
11 things that I talk about with my patients.

12 I think in the studies that were conducted,  
13 the early studies looking at the nasal resistance  
14 scores, there are a lot of questions about those  
15 studies of how reliable those objective assessments  
16 were. So I think certainly if you're doing  
17 objective assessments, they need to be reliable  
18 across studies and across operators, but they also  
19 need to align with the clinical symptoms. I think  
20 with the newer studies that were done after 2007,  
21 using what's currently the gold standard of symptom  
22 scores, we don't see efficacy. I believe that

1 data. I think it's impactful and that we should  
2 listen to that data.

3 I think also this discussion about allergic  
4 rhinitis congestion versus common cold congestion,  
5 in these later studies, they looked at patients  
6 with seasonal allergic rhinitis, and that may not  
7 be that different from congestion from a common  
8 cold because seasonal allergic rhinitis will often  
9 fluctuate with the pollen counts or the allergen  
10 counts in the air. Currently, today in Kansas  
11 City, our ragweed pollen counts are very low.  
12 Yesterday, we had rain and the weather has kind of  
13 changed, where last week the pollen counts were  
14 really high. So patients will experience  
15 fluctuations in their symptoms depending on the  
16 environmental exposure. Sometimes those symptoms  
17 may be more short-lived and may be more similar to  
18 what you might experience with a common cold.

19 So I do believe that congestion is likely  
20 very much the same across allergic rhinitis versus  
21 the common cold, and I certainly believe for a  
22 medication to be effective is that it has to



1 actually get to the site of action. It has to get  
2 to the microvasculature in the nasal mucosa. So I  
3 think the other point in regards to the  
4 bioavailability, if the concentrations of the  
5 parent compound are so low in the systemic  
6 circulation, where your total exposure is so low,  
7 even if it does make it to the nasal mucosa, the  
8 concentrations are so low there, it's not likely to  
9 have significant action to ameliorate symptoms.

10 So those are my primary thoughts, and again  
11 just kind of from the perspective of pediatrics and  
12 what I think is important for patients.

13 DR. COYLE: Thank you, Dr. Jones.

14 If I were to do a short summary of what we  
15 have discussed to this point, I think several panel  
16 members have mentioned that they do believe that  
17 the concentration of parent drug that is actually  
18 reaching the tissue in the nasal passages is low;  
19 that overall, the later studies do seem to provide  
20 some strong evidence that the phenylephrine at  
21 10 milligrams may not be effective and is likely  
22 not effective in relieving nasal congestion, at

1 least in the populations studied; that there seems  
2 to be some agreement that the populations of  
3 patients with seasonal or allergic rhinitis and  
4 those patients with cold symptoms may overlap, so  
5 not an unreasonable study population for those  
6 later trials, as well as some clinical context  
7 around what might be considered an effective  
8 treatment and how that effectiveness might be  
9 assessed.

10 That is I think what we've discussed so far.  
11 I do see that, Ms. Schwartzott, you have your hand  
12 up. I'd like to acknowledge you with the floor.

13 MS. SCHWARTZOTT: It appears that we're all  
14 in agreement that phenylephrine is not effective,  
15 so that, to me -- and again, I'm not a doctor, but  
16 I am a patient who has had colds like all of us  
17 have, and I've taken the over-the-counter things  
18 that haven't worked.

19 I want to question if this brings up a  
20 safety concern, and I think that's very important  
21 because safety is even more important than the  
22 efficacy. Phenylephrine on its own, if you take

1 it, you don't really have any severe adverse  
2 events, but how about the adverse event, that if  
3 you're taking something that's not effective, a lot  
4 of people will continue to get sicker. They're  
5 having the mild cold symptoms, so they take the  
6 drugs, and they don't work, so they end up  
7 developing further symptoms, and it keeps  
8 traveling, getting worse and getting worse, and you  
9 can end up with some severe health issues.

10 That actually happened to me. In 2021, I  
11 had rhinovirus. It started out very mild, and  
12 within 3 or 4 days, I was in the ICU with pneumonia  
13 and hooked up to breathing machines because I  
14 couldn't breathe on my own, and that was the only  
15 thing they could find wrong with me, was the  
16 rhinovirus. I had gone, on the day that I was  
17 starting to feel somewhat lousy, to CVS and picked  
18 up one of those packages of cold things. I don't  
19 remember exactly what it was, but I know it wasn't  
20 pseudoephedrine because I cannot take that. I was  
21 already on allergy medication. Having no allergy  
22 symptoms at all was perfectly fine until I was

1 exposed by my friend who was sick.

2 So not everybody gets better from the cold.  
3 It can progress. It can get really, really severe,  
4 and I think that we need to question it's not just  
5 the efficacy; it's the safety aspect of these  
6 people need treatment, and they're not getting the  
7 treatment if the drug is not effective. So I'd  
8 like to hear what the specialists have to say about  
9 that.

10 DR. COYLE: Thank you, Ms. Schwartzott.

11 Is there anyone on the panel that could  
12 respond to her question, particularly with  
13 specialty in this area? I think if I'm rephrasing,  
14 the question would be specifically the lack of  
15 treatment and the risks associated with lack of  
16 treatment with an effective decongestant, and how  
17 that might play into our considerations.

18 Yes. Dr. Dykewicz.

19 DR. DYKEWICZ: Actually, this is a very  
20 important question. In practice, the major  
21 potential complication of uncontrolled nasal  
22 obstruction might be the development of bacterial

1 sinus infections. That doesn't happen very often,  
2 but if you are looking at trying to maintain the  
3 patency or the openness between the inside of the  
4 nose and the sinus cavities to avoid mucus  
5 accumulation and possible development of a  
6 bacterial sinus infection, you certainly would want  
7 to achieve that or try to achieve that with an  
8 effective treatment, and oral phenylephrine's not  
9 going to do it.

10 There would be alternatives such as  
11 intranasal decongestant sprays that for short term  
12 might work better. It would be off label. One  
13 might consider a nasal corticosteroid to help  
14 reduce congestion swelling within the nose. But I  
15 think, are there clear-cut studies that would prove  
16 that some of these alternative treatments are  
17 reducing the risk of developing bacterial sinusitis  
18 with the need for antibiotics and potential other  
19 complications? We don't have studies that clearly  
20 demonstrate that.

21 DR. COYLE: Thank you.

22 Dr. Jones, could you comment?

1 DR. JONES: Yes. I think that brings up a  
2 really important point in that the risk of taking a  
3 medication that's not effective for a particular  
4 condition, I think anytime you introduce a molecule  
5 into the body and it's not achieving effect or  
6 addressing the condition that it's meant to  
7 address, there's risk in that. There could be risk  
8 of allergic reaction or risk of anything else that  
9 involves introducing a foreign molecule into the  
10 body.

11 I think the other thing for me that's also  
12 concerning, there's been some discussion about  
13 patients who live in rural or underserved areas,  
14 and not able to access pseudoephedrine or other  
15 medications. I think there's an additional risk  
16 for these patients as well. If all that they may  
17 have access to is a medication that's not  
18 effective, I think that additionally harms these  
19 patients. So the real fix is providing access for  
20 these patients and not necessarily providing a  
21 medication that has been shown to be ineffective.

22 DR. COYLE: Thank you.

1 I'm going to acknowledge Dr. Le.

2 DR. LE: I think a very, very important  
3 point has been brought up in terms of patient  
4 safety. I've sat on the FDA and also AHRQ for four  
5 years now, and I wear different hats depending on  
6 what meeting I'm going to. So with the FDA, you  
7 kind of separate efficacy from safety because it's  
8 related to the drug, usually, drug efficacy and  
9 drug safety, and there are different studies to  
10 evaluate that. But on AHRQ, patient safety is  
11 really the number one priority for us, and within  
12 that patient safety, one aspect of patient safety  
13 is if the drug works and if there are appropriate  
14 diagnostics for the indication. And here, when the  
15 drug doesn't work, it is a patient safety issue for  
16 us, outside of the drug adverse drug effects as  
17 well.

18 So I think that should be considered bias in  
19 terms of, well, if we see with the most recent  
20 data -- and they are RCTs, randomized-controlled  
21 trials, double-blind and stuff, and there's more  
22 than one. So there's definitely enough efficacy

1 data for us to see that, like oral epinephrine, at  
2 the current dose, it's unlikely to work, then  
3 patient safety is an issue, and I think the  
4 committee members should consider that.

5 DR. COYLE: Thank you. Thank you, Dr. Le.  
6 Dr. Brittain, please go ahead.

7 DR. BRITTAIN: Hi. Dr. Kristy Brittain. I  
8 just wanted to make mention, because I haven't  
9 really heard some discussion about it thus far, but  
10 I think the other important component when we're  
11 thinking about safety is just to remember that  
12 phenylephrine over the counter is most often in  
13 combination with other products. So yes, it's a  
14 single-entity product, but I would garner to guess  
15 that most of the products that are being purchased  
16 are products that have multiple other ingredients  
17 in them.

18 So I think it's just an important  
19 consideration to think; that our population that's  
20 using these are potentially getting exposure to  
21 other things as well. And again, going back to the  
22 discussion about access, I think we've talked about



1 there are a lot of other options that are still  
2 over the counter that that don't include  
3 phenylephrine, so I just wanted to make that note.

4 DR. COYLE: Dr. Brittain, can I ask a  
5 follow-up question? Is there a particular concern  
6 that you have in terms of this being part of a  
7 combination product? Could you envision a scenario  
8 or give us an example of how you might see that  
9 influencing patient outcome?

10 DR. BRITTAIN: I mean, I think the biggest  
11 is we've talked about the dose effect thus far, so  
12 since we have this kind of subtherapeutic dose, and  
13 there's a potential that individuals will take more  
14 than is warranted, which then means that they're  
15 going to be taking more of other products like  
16 acetaminophen as an example, which comes very  
17 commonly in OTCs, dextromethorphan, all which have  
18 risks if you exceed the recommended daily amount of  
19 those as well. So I could see that being a  
20 scenario where somebody would be taking more than  
21 maybe what's even labeled because, remember, these  
22 are over-the-counter products that anybody can

1 purchase and can take any amount that they prefer.

2 DR. COYLE: Thank you for clarifying that.

3 That was a concern that I also share. I think not  
4 only overusing a single product, but if a patient  
5 were to not experience the effectiveness for that  
6 major symptom of nasal congestion, might they then  
7 consider switching to a different proprietary  
8 product that actually still contains phenylephrine?  
9 So I wonder not only about overusing those single  
10 products but, really, what combinations patients  
11 might end up with.

12 We haven't talked yet about the cost  
13 perspective, but I find that also to be concerning.  
14 I mean, these are costly products over the counter,  
15 so I'd be interested to hear more in a follow-up  
16 question on that aspect from our patient and  
17 consumer representatives. But for now, I want to  
18 continue in this vein, and I know that  
19 Dr. Amirshahi had her hand up as well, so I'd like  
20 to acknowledge her.

21 DR. AMIRSHAH: Hello. Dr. Maryann  
22 Amirshahi. I wanted to make a comment as a medical

1 toxicologist. One of the things that we see with  
2 the phenylephrine, for the most part, is we don't  
3 get a lot of reports of significant toxicity or  
4 adverse outcomes; usually, it's more associated,  
5 once again, with the combination products that we  
6 see. I think we do have a moral  
7 obligation -- particularly when we talk about the  
8 amount of money that people were spending on these  
9 products, as was presented yesterday -- that we  
10 take that in totality.

11 Plus, in addition, if we have a medication  
12 that is ineffective, we may also have additional  
13 unrecognized costs in that patients, because it's  
14 not effective, may have more utilization of  
15 healthcare resources. For example, they may have  
16 unnecessary doctor or urgent care visits, or they  
17 may spend more on additional products, or be  
18 exposed to toxicity from the combination products.

19 I have to underscore that. Also, we do have  
20 an effective alternative in pseudoephedrine, and  
21 while there are some limitations there, the bar to  
22 obtain the product really isn't as high as was kind

1 of described; perhaps maybe in more underserved  
2 areas, but for the most part, I think that we have  
3 a safe and accessible alternative as well. That's  
4 really the only comments I had. Thank you.

5 DR. COYLE: Thank you. I appreciate that  
6 perspective, particularly from the the toxicology  
7 standpoint and what you may have seen in your  
8 clinical practice.

9 Dr. Ginsburg, please go ahead.

10 DR. GINSBURG: Thank you. Diane Ginsburg,  
11 University of Texas at Austin College of Pharmacy.  
12 I really appreciate the last two comments,  
13 specifically regarding the moral issue because I'm  
14 thinking about this from an ethical perspective in  
15 terms of trust that consumers have with their  
16 healthcare providers.

17 It is very frequent, as we have students who  
18 are completing rotations and recommending  
19 over-the-counter products, and I think about it  
20 from the ethical side of answering a patient's  
21 question in an OTC aisle, knowing the science  
22 behind that type of product, or a combination

1 product with phenylephrine, and knowing that the  
2 phenylephrine is not effective, and putting that  
3 individual, really, in kind of a dilemma situation,  
4 where if you're recommending something that you  
5 knowingly know is not effective, to me that just  
6 erodes trust that patients have with us. That's  
7 one piece of it.

8 The second piece, and I'm really glad -- I  
9 think it was Dr. Brittain who brought up the safety  
10 question related to the fact that these are  
11 combination products. I'm apologizing if I don't  
12 recall in the consumer study, if they talked about  
13 how many of the products were combination versus  
14 single-agent products. But regardless, the fact is  
15 that the majority of people take these products in  
16 a combination, so there's the propensity for  
17 patients to overuse and/or switch to other products  
18 with phenylephrine in them, and that to me, as a  
19 pharmacist, is very concerning. Thank you. Those  
20 are my comments.

21 DR. COYLE: Thank you, Dr. Ginsburg.

22 Dr. D'Agostino, I see your name is up.

1 Please go ahead.

2 DR. D'AGOSTINO: Yes. I think all of this  
3 is getting into all the things that I was thinking  
4 of when we were preparing for this meeting. The  
5 safety question I think has a lot of components,  
6 but there have been some great points raised about  
7 taking a drug that's ineffective, the risk of delay  
8 in care. One of the things that I think hasn't  
9 been raised is going a little bit deeper into that  
10 risk of delay in care.

11 There's the point of you may be delaying  
12 care for the common cold, but the one that we  
13 really haven't touched on is why most people are  
14 getting sick right now, which is we do have an  
15 ongoing pandemic where there are effective  
16 therapies that you could be taking, and we're also  
17 about to enter the flu season, so we have the  
18 opportunity to push people to more effective  
19 therapies.

20 So there's a real risk of spreading more  
21 disease, not pushing people to the drugs that  
22 actually work, not just pseudoephedrine but other

1 more effective drugs. Then the unnecessary cost is  
2 a big one, too, that I've definitely been thinking  
3 about, and not just having these drugs in  
4 combination products that shouldn't really be  
5 there, and maybe the over-the-counter therapies  
6 that are available would work fine without  
7 phenylephrine, and we could just remove them, and  
8 people could take their DayQuil for their cold, and  
9 they can be perfectly effective., or their Afrin  
10 for their allergies or whatever.

11 I think those are kind of the thoughts that  
12 are like what should people actually be taking for  
13 the common cold, allergies; if they just have their  
14 seasonal allergies that aren't super severe; where  
15 should people go if they do have COVID; how do they  
16 know if they have the flu; where should they  
17 actually be going, and what should they be taking,  
18 and how could they know, which I think we'll get  
19 into a little bit more this afternoon when we talk  
20 about how should we communicate to people. But  
21 that risk of delay in care and what happens if you  
22 take a drug that doesn't work are all the things

1 that I've really been thinking about here. I think  
2 I'll stop there.

3 DR. COYLE: Thank you. I appreciate you  
4 adding that perspective to our discussion.

5 Dr. Calis, we haven't heard from you before.  
6 Please go ahead.

7 DR. CALIS: Thank you very much; a lot of  
8 very insightful comments, and I appreciate the  
9 discussion. One of the things that just comes to  
10 mind -- and I don't want us to dismiss it -- is the  
11 issue that has been brought up about whether we're  
12 dealing with allergic rhinitis and how that's  
13 different from the common cold, et cetera, which if  
14 I step back and look at it from the traditional  
15 drug development paradigm, you typically see that  
16 we will do natural history studies.

17 We want to understand the pathophysiology.  
18 We want to identify potentially effective  
19 treatments, and do it in a systematic, organized  
20 way that helps us arrive at something that might be  
21 effective, based on our understanding of the  
22 disease progression, and based on the understanding



1 of the mechanism of action, and the various  
2 interventions.

3           However, in this particular case, with all  
4 the limitations that have been identified so far, I  
5 think we can go back and look at the entire body of  
6 evidence, and I think we've heard some pieces here.  
7 But I think if we step back and look at the three  
8 main areas -- the pharmacokinetics, clinical  
9 efficacy, and clinical safety -- if we look at the  
10 pharmacokinetics, as some of my colleagues have  
11 already identified very nicely, we have very low  
12 systemic exposure.

13           We know what this drug does. We know if we  
14 give it in a way systemically, we know what IV  
15 phenylephrine can do. We know that it could  
16 potentially have various cardiovascular effects,  
17 et cetera. So we already know what it can do  
18 physiologically. We haven't seen any major  
19 clinical safety signals probably because of the low  
20 systemic exposure. So the pharmacokinetics, the  
21 clinical safety data are corresponding, and now we  
22 have a larger body of evidence with more

1 contemporary studies looking at the clinical  
2 efficacy, and I think clearly -- clearly -- the  
3 data overwhelmingly support the fact that  
4 phenylephrine is essentially, in its current way  
5 that it's administered, ineffective and essentially  
6 acts like a placebo.

7 So I believe that the whole body of  
8 evidence, we've talked about the various aspects of  
9 the data that we have. I think they all basically  
10 confirm the fact that this drug is not effective  
11 and should not really be available because, again,  
12 all you're doing is you're extending something of,  
13 I think, the issues of ethics and so forth that  
14 have been brought up. I'm also concerned about the  
15 combination treatments, et cetera.

16 So those, I think, all support what has been  
17 said so far and what was quite eloquently said at  
18 the very beginning by Dr. Clement, who provided a  
19 nice synopsis of essentially what I feel as well.  
20 So I'll stop there. Thank you.

21 DR. COYLE: Great. Thank you, Dr. Calis. I  
22 think that was a nice bookend to some of our

1 earlier comments from Dr. Clement, just as you  
2 pointed out.

3 I know we still have some hands up, but I  
4 wanted to invite Dr. King to just comment from her  
5 area of expertise, if there's anything that stands  
6 out to you, Dr. King, regarding the studies  
7 themselves that you feel is worthy to bring back up  
8 before the AC.

9 DR. KING: Sure. Thank you. Tonya King,  
10 professor of biostatistics. No one was really  
11 asking questions about the meta-analyses. I was  
12 going to comment on that, but I really appreciated  
13 the discussion that was ensuing and didn't want to  
14 interrupt, and I really appreciated the comments  
15 that have been brought up this morning, even new  
16 things to think about.

17 I was going to make a comment about the  
18 meta-analyses just in terms of when reviewing a  
19 meta-analysis, it's important to look at a funnel  
20 plot of the sample size by the p-values. If  
21 there's a significant effect, you would expect to  
22 see larger studies with some small p-values and

1 smaller studies with potentially a range of  
2 small-to-large p-values. And if you visualize a  
3 funnel plot from the prior studies that were  
4 included in the meta-analyses, it's really the  
5 reverse that's happening. The smaller studies were  
6 the ones with the highly significant p-values, and  
7 due to that and the other issues brought up by the  
8 FDA statistician, I believe those studies are  
9 suspect. That was just a thought in terms of the  
10 meta-analyses.

11 I did go back and review to see what was  
12 different between the two meta-analyses that were  
13 performed, and actually, the CHPA group reanalyzed  
14 the same studies that the Hatton group analyzed,  
15 and when using the same studies and the same  
16 outcome, they did reach the same conclusion. But  
17 where they differed in what they presented was that  
18 they chose a different outcome, which is valid, but  
19 all that shouldn't -- with the prior, especially  
20 the Elizabeth lab studies, I believe it's suspect.  
21 I appreciate the more recent studies, the large,  
22 properly designed, powered, multicenter, well

1 controlled with current design and statistical  
2 standards, and I think that those results are  
3 pretty convincing. Thank you.

4 DR. COYLE: Thank you. We appreciate you  
5 weighing in.

6 I do think I have two committee members, two  
7 AC members, who have not spoken. So I just want to  
8 offer Dr. Blalock or Dr. Pisarik an opportunity to  
9 comment on the question at hand, the efficacy and  
10 pharmacokinetic data, or any of the previous topics  
11 that we've touched on. I'll pause for just a  
12 moment.

13 DR. BLALOCK: This is Sue Blalock.

14 DR. COYLE: Okay. Go ahead.

15 DR. BLALOCK: Okay. I've been quiet because  
16 I just think the evidence is pretty compelling, and  
17 I certainly concur with the gist that everyone has  
18 expressed. I think it's particularly compelling  
19 when you think about using more medication than  
20 recommended when you're not getting the effect  
21 after the first dose, and that raising safety  
22 concerns. So I just underscore what others have

1 said.

2 DR. COYLE: Thank you. Thank you for adding  
3 the weight of your input.

4 Dr. Pisarik, please go ahead.

5 DR. PISARIK: I mirror what everybody else  
6 has been saying. I don't think that the evidence  
7 supports a pharmacological effect from  
8 pseudoephedrine [sic]. Even if that 1 percent  
9 absorbed was significant, the studies don't show  
10 that it does anything in terms of nasal congestion.  
11 So I think the evidence is pretty clear that the  
12 pseudoephedrine really doesn't do much in terms of  
13 nasal congestion.

14 When I see patients with nasal  
15 congestion -- and I've worked in urgent cares  
16 before -- sometimes people come in, and it's hard  
17 to tell if they've got allergies or whether it's a  
18 cold, especially when people come in saying I've  
19 got this sinus infection that comes every September  
20 and April. Well, if it's twice a year, and they  
21 come in with nasal congestion, it's probably more  
22 allergies than anything else. But I usually tell

1       them for their congestion to use oxymetazoline  
2       nasal spray, followed 30 minutes later by a nasal  
3       steroid. And I tell them to do it twice a day for  
4       3 days, and after that just go to once-a-day nasal  
5       steroid, and stop the oxymetazoline. I think a lot  
6       of times it does help with their symptoms and helps  
7       with their congestion, and they should feel better  
8       after that.

9               So again, I never prescribe pseudoephedrine.  
10       If anything, I say pseudoephedrine is what you  
11       need, and that's only behind the counter with a  
12       pharmacist.

13              DR. COYLE: Thank you. We appreciate your  
14       frontline experience with those situations.

15              Dr. Le, I know your hand was up. I was not  
16       ignoring that. We do have a couple of minutes if  
17       you'd like to make a final comment before we break.

18              DR. LE: No. I'll go ahead and discuss  
19       that -- I think we have another discussion topic,  
20       that I'll include that, my thoughts. Thank you.

21              DR. COYLE: Yes. You're welcome.

22              I don't see further additional comments.

1 Does anyone have anything that they would like to  
2 share from the advisory committee panelists that is  
3 new or has not yet been touched on, that you think  
4 is relevant for our scientific efficacy and  
5 pharmacokinetic data discussion point?

6 (No response.)

7 DR. COYLE: I would like to thank you all  
8 for a really thorough conversation and for all of  
9 your expertise in contributing to that discussion.  
10 Personally, I found it incredibly helpful, and I  
11 hope that you all did as well.

12 We will now take a 15-minute break. Panel  
13 members, please remember that there should be no  
14 chatting or discussion of the meeting topic during  
15 the break, and we will resume at 10:43 Eastern  
16 Time. Thank you.

17 (Whereupon, at 10:27 a.m., a recess was  
18 taken, and meeting resumed at 10:43 a.m.)

19 DR. COYLE: Hello, everyone. Welcome back.  
20 We've had a very good discussion in the first part  
21 of our meeting on the first discussion question,  
22 and we will now move on to our next question, which



1 is a voting question. Dr. Jessica Seo will provide  
2 the instructions for voting.

3 DR. SEO: Thank you, Dr. Coyle. This is  
4 Jessica Seo, DFO. Question 2 is a voting question,  
5 and voting members will use the Zoom platform to  
6 submit their vote for this meeting. If you are not  
7 a voting member, you will be moved to a breakout  
8 room while we conduct the vote.

9 After the chairperson has read the voting  
10 question into the record and all questions and  
11 discussion regarding the wording of the vote  
12 question are complete, we will announce that voting  
13 will begin. A voting window will appear where you  
14 can submit your vote. There will be no discussion  
15 during the voting question. You should select the  
16 radio button that is a round circular button in the  
17 window that corresponds to your vote. Please note  
18 that once you click the submit button, you will not  
19 be able to change your vote.

20 Once all voting members have selected their  
21 vote, I will announce that the vote is closed.  
22 Please note there will be a momentary pause as we

1 tally the vote results and return non-voting  
2 members to the meeting room. Next, the vote  
3 results will be displayed on the screen. I will  
4 read the vote results from the screen into the  
5 record. Thereafter, the chairperson will go down  
6 the list and each voting member will state their  
7 name and their vote into the record. You should  
8 also address any subparts of the voting question,  
9 which includes the rationale for your vote.

10 Are there any questions about the voting  
11 process before we begin?

12 (No response.)

13 DR. SEO: Alright. I don't see any hands  
14 raised. So since there are no questions, I will  
15 hand it back to Dr. Coyle, and we can begin.

16 (No response.)

17 DR. SEO: Dr. Coyle, you look like you're  
18 muted.

19 DR. COYLE: Thank you. Thank you for the  
20 reminder.

21 Okay. I will begin by reading question 2,  
22 the voting question, to the committee, and then we

1 will pause to see if there are any questions or  
2 concerns about the wording of the question itself.  
3 Question 2 for our vote, do the current scientific  
4 data that were presented support that the  
5 monographed dosage of orally administered  
6 phenylephrine is effective as a nasal decongestant?  
7 If yes, discuss what data you consider supportive.  
8 If no, discuss what additional data, if any, are  
9 needed to assess phenylephrine pharmacokinetics for  
10 efficacy.

11 Are there any questions about the wording,  
12 or any clarifying questions about the wording of  
13 this?

14 (No response.)

15 DR. COYLE: Seeing none, with no questions  
16 or comments concerning the wording of the question,  
17 we will begin the voting of question 2.

18 DR. SEO: We will now move non-voting  
19 participants to the breakout room.

20 (Voting.)

21 DR. SEO: Voting has closed and is now  
22 complete. The voting results will be displayed.

1 (Pause.)

2 DR. SEO: There were zero yeses, 16 noes,  
3 and zero abstentions.

4 Dr. Coyle?

5 DR. COYLE: Thank you.

6 We will now go down the list and have  
7 everyone who voted state their name and their vote  
8 into the record. You may also include the  
9 rationale for your vote, and we'll start with  
10 Dr. Amirshahi.

11 DR. AMIRSHAHI: Maryann Amirshahi. I voted  
12 no. I think that the preponderance of evidence  
13 presented was really compelling, and that's really  
14 why. Thank you.

15 DR. COYLE: [Inaudible]

16 DR. KING: Hi. Tonya King from Penn State  
17 College of Medicine. I also voted no. I thought  
18 it was interesting. I think there was some new  
19 information this morning that the last panel  
20 actually voted similarly to a similar question, and  
21 then subsequently there was a question about  
22 whether there might be efficacy and what would be

1 needed further. So along with everyone else, I  
2 agree with the evidence shown today, and I just  
3 thought that that was interesting.

4 DR. COYLE: Thank you.

5 Dr. Le?

6 DR. LE: Jennifer Le from UC San Diego. I  
7 voted no based on the recent pharmacologic studies,  
8 the more robust clinical trials that included three  
9 multicenter, double-blind, randomized-controlled  
10 trials, as well as comments from the open hearing  
11 yesterday. I vote no in that I believe that oral  
12 phenylephrine 10 milligram is not efficacious for  
13 the symptomatic treatment of nasal congestion  
14 associated with both the common cold and allergic  
15 rhinitis.

16 For additional data, I would advise  
17 considering evaluating higher doses of oral  
18 phenylephrine in patients with common cold and  
19 allergic rhinitis, if possible, and evaluate drug  
20 penetration to the nasal mucosa, even considering  
21 the use of in vivo animal models, if needed. In  
22 addition, I recommend that the FDA firmly makes a

1 strong statement to the public and the drug  
2 sponsors not to use or consider any data or  
3 previous clinical trials that did not align with  
4 data integrity standards and confirmed by forensic  
5 analysis.

6 Lastly, from the consumer perspective, I  
7 recommend that FDA reconsiders the labeling of oral  
8 phenylephrine as GRAS-E, as it currently stands  
9 now, since this may lead to consumer confusion to  
10 the efficacy of the drug and the advisory  
11 committee's concern for patient safety that extends  
12 beyond just individual drug safety, encompassing  
13 both combination products and the potential for  
14 delayed and ineffective treatment. Thank you.

15 DR. COYLE: Thank you.

16 Dr. Blalock?

17 DR. BLALOCK: Yes. This is Sue Blalock, and  
18 I voted no. I think the evidence are pretty  
19 compelling that this medication is not effective,  
20 and really can't be effective because of the  
21 pharmacokinetics, and I don't really think that  
22 additional data are needed to support that

1 conclusion.

2 DR. COYLE: Thank you.

3 Ms. Schwartzott?

4 MS. SCHWARTZOTT: I voted no. Jennifer  
5 Schwartzott. At this point, I think enough studies  
6 have been done. I don't believe that additional  
7 trials would produce a different outcome. I feel  
8 that this drug in this oral dose should have been  
9 removed from the market a long time ago. The  
10 patient community requires and deserves medications  
11 that treat their symptoms safely and effectively,  
12 and I don't believe that this medication does that.

13 DR. COYLE: Thank you.

14 Dr. Clement?

15 DR. CLEMENT: Yes. Thanks. I voted no for  
16 all the reasons stated above. In addition, I agree  
17 and concur with the discussion that was held  
18 earlier about more efficacy really is a safety  
19 issue for delayed treatment. This isn't just,  
20 well, it's not doing anything badly; why not just  
21 continue it? It is a safety issue because the  
22 patients are being given the wrong thing, and it's

1 preventing them from getting the right thing.

2 DR. COYLE: Thank you. And will you confirm  
3 your name for the record?

4 DR. CLEMENT: Yes. Stephen Clement, Inova  
5 hospital system.

6 DR. COYLE: Thank you.

7 DR. CLEMENT: Thank you.

8 Dr. Calis?

9 DR. CALIS: Hi. Karim Calis from the NIH,  
10 and I voted no. I believe that the body of  
11 evidence from the pharmacokinetic studies, from the  
12 clinical safety data that we have, and from the  
13 more recent contemporary clinical efficacy trials  
14 all consistently corroborate the assertion that in  
15 this route of administration and at the monograph  
16 dosage, phenylephrine lacks efficacy. Thank you.

17 DR. COYLE: Thank you.

18 Dr. Figg?

19 DR. FIGG: William Figg from the National  
20 Cancer Institute. I voted no. I thought  
21 Dr. Meltzer's two randomized studies were  
22 compelling for lack of efficacy. I think those



1 were the best controlled studies that had been done  
2 with this particular drug. Also, the financial  
3 toxicity associated with an ineffective drug was  
4 overwhelming. It's amazing the amount of dollars  
5 being spent on something that has really no  
6 efficacy, as well as the poor bioavailability that,  
7 I agree, probably precludes any further studies of  
8 increasing the dose with such a low bioavailability  
9 and a high first-pass metabolism. Thank you.

10 DR. COYLE: Thank you.

11 Dr. Brittain?

12 DR. BRITTAIN: Kristy Brittain, Medical  
13 University of South Carolina. I voted no, based on  
14 the pharmacokinetic data and clinical data that was  
15 shared. I believe this is a long overdue change,  
16 and agree with the risk that would be associated  
17 with continued availability and use in the U.S.

18 DR. COYLE: Thank you.

19 Dr. D'Agostino?

20 DR. D'AGOSTINO: Emma D'Agostino. I voted  
21 no. I think that the newer studies were adequate  
22 and well designed, and showed that there is no

1 efficacy. Also, as we discussed, they showed a  
2 lack of efficacy in seasonal allergic rhinitis, and  
3 we do have the J&J study showing, reasonably, a  
4 lack of efficacy in the common cold as well. So we  
5 have pretty nice data showing the lack of efficacy  
6 broadly across all the indications.

7 I also thought that the PK data were  
8 convincing, showing that there is no  
9 bioavailability, which explains pretty nicely why  
10 we're not seeing efficacy, and I don't think that  
11 we need to show any additional data. I think what  
12 we have is pretty adequate to show that there is  
13 not any efficacy. Thank you.

14 DR. COYLE: Thank you.

15 Dr. Ginsburg?

16 DR. GINSBURG: Diane Ginsburg, University of  
17 Texas at Austin College of Pharmacy. I also voted  
18 no; similar comments. I do not believe that the  
19 evidence that was presented supports in any way the  
20 efficacy of this product remaining on the market.  
21 I don't believe that, at this point, doing  
22 additional studies in any way would provide us any

1 beneficial information that might change that  
2 opinion.

3 Similar to what others have said, I think  
4 the studies that were presented yesterday by  
5 Dr. Meltzer were very, very compelling, and I think  
6 really just kind of sealed it for me in terms of  
7 the lack of efficacy for a product. We really  
8 should not have products on the market that are not  
9 effective. Thank you.

10 DR. COYLE: Thank you.

11 Dr. Dykewicz?

12 DR. DYKEWICZ: This is Mark Dykewicz at  
13 Saint Louis University School of Medicine. I voted  
14 no. We now have compelling, convincing evidence  
15 that oral phenylephrine is ineffective at relieving  
16 symptoms of nasal congestion. The evidence is  
17 derived from multiple well-designed and performed  
18 clinical trials involving large numbers of  
19 patients. These include studies in allergic  
20 rhinitis by Horak 2009, Day 2009, Meltzer 2015,  
21 Meltzer 2016, and in the common cold, the  
22 Johnson & Johnson sponsored trial during the

1 2017-2018 cold season. I don't see any need to  
2 further assess oral phenylephrine pharmacokinetics  
3 or efficacy. Thanks.

4 DR. COYLE: Thank you.

5 Dr. Jones?

6 DR. JONES: Dr. Bridgette Jones. I voted no  
7 for all the reasons that were previously stated.  
8 We were shown the data that shows the very low  
9 bioavailability for phenylephrine when taken  
10 orally, leading to lack of drug at the necessary  
11 site for effectiveness. We also saw studies that  
12 showed in both the NAR studies and the clinical  
13 scoring outcome studies that there was no  
14 demonstration of efficacy for phenylephrine when  
15 taken orally.

16 So I think, overall, the data are pretty  
17 clear. I don't think additional studies would be  
18 necessary given that that we have well-designed  
19 rigorous trials both in patients with allergic  
20 rhinitis and studies conducted for the common cold.

21 DR. COYLE: Thank you.

22 Dr. Kim?

1 DR. KIM: This is Esther Kim, Fort Belvoir.  
2 I voted no as well. I think at the end of the day,  
3 we have basically no evidence that demonstrates any  
4 meaningful and lasting symptom relief, regardless  
5 of the cause of the actual congestion, whether it  
6 be for allergic rhinitis versus the acute upper  
7 respiratory formation of cold. I think at the end  
8 of the day, we are delaying meaningful treatment  
9 when there are available options, both over the  
10 counter and prescription; so therefore, we are  
11 essentially confusing our patients and consumers  
12 alike in being able to choose better options that  
13 would help them. I don't think any more studies  
14 are needed to demonstrate the same conclusion.

15 DR. COYLE: Thank you.

16 Dr. Pisarik?

17 DR. PISARIK: Paul Pisarik, Archwell Health.  
18 I voted no for all the reasons mentioned above.  
19 The studies don't show efficacy. As far as  
20 potentially doing other studies, I think we're kind  
21 of beating a dead horse. If we do 60 or  
22 80 milligrams of phenylephrine, then we have to

1 start worrying about blood pressure increases since  
2 at 100 milligrams, blood pressure goes up by  
3 10 points. I think there's a safety issue there,  
4 so I agree this is a done deal as far as I'm  
5 concerned. It doesn't work.

6 DR. COYLE: Thank you.

7 Maria Coyle, Ohio State University. I also  
8 voted no for all the reasons stated. I think we  
9 clearly have better options in the over-the-counter  
10 space to help our patients, and the studies do not  
11 support that this is an effective drug, and  
12 delaying care or other negatives associated with  
13 care far outweigh any potential, even in the future  
14 if this drug were studied at different doses. So I  
15 would not be in favor of additional studies at this  
16 time, along with my fellow panelists who stated the  
17 same.

18 So thank you all for your attention to this  
19 voting question. Just to summarize, we have a  
20 unanimous vote in regards to the question, so we do  
21 not believe as a panel that the current scientific  
22 data support the dosage of 10 milligrams of orally

1 administered phenylephrine as effective as a nasal  
2 decongestant, and by majority vote would not be in  
3 favor of additional studies exploring phenylephrine  
4 pharmacokinetics or efficacy of additional doses,  
5 although there was some disagreement on that point.  
6 Thank you all.

7           Since we have time before our lunch break,  
8 we will go ahead and move on to question 3. This  
9 is a discussion question again, so as has been our  
10 practice, I will just read the question to ensure  
11 that there is no confusion or wording  
12 clarifications that are needed, and then I will  
13 open the floor to our advisory committee members  
14 for broad discussion.

15           Question number 3, discuss whether the  
16 current scientific data that were presented support  
17 that a dose of orally administered phenylephrine  
18 higher than the monographed dosage would be safe  
19 and effective.

20           Are there any questions or comments  
21 concerning the wording of the question?

22           (No response.)

1 DR. COYLE: Hearing none, I will open up for  
2 discussion the question itself; any current  
3 scientific data that were presented that support a  
4 dose of orally administered phenylephrine higher  
5 than the monographed dosage as safe and effective?

6 We'll begin with Dr. Brittain.

7 DR. BRITTAIN: Kristy Brittain, Medical  
8 University of South Carolina. I would say prior to  
9 yesterday, I kind of thought that maybe higher  
10 doses would be reasonable for study, but we'll go  
11 back to the pharmacokinetic data that was shared,  
12 and really, to me, goes against considering  
13 additional studies of higher doses.

14 DR. COYLE: Thank you.

15 Dr. Calis, please go ahead.

16 DR. CALIS: Thank you. Karim Calis from the  
17 NIH. I believe that this would not be the course  
18 to go with. That's why I did not suggest any  
19 additional studies. We already know how this drug  
20 works. We already know its mechanism of action.  
21 We already know what this class of drugs does. We  
22 just know that in its current form, in the dosage



1 form that is currently provided at the current  
2 dosage, you have very low systemic exposure. So if  
3 we push the dose, it's possible that you might get  
4 additional systemic exposure, but with that, we  
5 already know that we will probably very likely  
6 encounter additional cardiovascular effects just as  
7 we would do from the entire class of those drugs.

8 So I think that would be the the wrong  
9 thing, and I don't think the data would support  
10 that. Could there be some efficacy at much higher  
11 dosage? Possibly, but with the corresponding  
12 increase in potential cardiovascular effects and  
13 safety issues. Thank you.

14 DR. COYLE: Thank you.

15 Dr. Clement, you may go ahead.

16 DR. CLEMENT: Yes. Thanks. Can you hear  
17 me?

18 DR. COYLE: Yes.

19 DR. CLEMENT: Steve Clement, Inova. Yes, I  
20 agree with my other colleagues. The systemic dose,  
21 or basically spillover effect, in terms of  
22 increasing blood pressure I think is a concern, and

1 we have other drugs. I mean, this has been a great  
2 seminar on learning how to treat stuffy nose, so  
3 there are lots of other things out there that are  
4 less risky and less chance of causing blood  
5 pressure problems.

6 DR. COYLE: Thank you, Dr. Clement.

7 I would raise my hand, Maria Coyle at the  
8 Ohio State University, just to add my perspective.  
9 I'm an ambulatory care pharmacist. I work in the  
10 clinic settings with patients around cardiac risk  
11 reduction. I can share that currently with my  
12 patients, I don't really have a lot of counseling  
13 points around the use of oral decongestants,  
14 although we certainly do talk about  
15 over-the-counter drugs and what would be  
16 appropriate for them and what might not be  
17 appropriate given their comorbidities.

18 I do think that at a higher dosage, I would  
19 want to know much more about any over-the-counter  
20 products that contain phenylephrine because of  
21 concerns about cardiac safety, and I also think  
22 that we might have a very different conversation in

1 an advisory committee about that balance between  
2 efficacy and safety at higher doses. So I think  
3 should we have those conversations, I would be  
4 curious to see that data, but as has been stated,  
5 we have alternatives currently that can be  
6 effective. Although the oral dosage form may be  
7 preferred by patients initially, I do think that  
8 effectiveness and maybe some patient education  
9 around which products are most effective might sway  
10 that decision in a well-educated consumer.

11 So just my thoughts here, and I'll recognize  
12 Dr. Le as well.

13 DR. LE: Jennifer Le from UC San Diego. I  
14 guess I was the one, or at least one, who  
15 recommended consideration to value at higher doses.  
16 I'm pediatric and infectious disease on the  
17 hospital side, so I don't see most amcare patients.  
18 I was coming from the perspective of not  
19 necessarily looking at higher doses for OTC  
20 indication, but it would be more for an inpatient,  
21 maybe a potential alternative perspective, because  
22 I do think the data is there that a higher dose may

1 be effective but, yes, that has to weigh into the  
2 consideration for increased blood pressure that we  
3 would see with this. So I'm coming from not the  
4 OTC perspective but as a prescribed drug,  
5 potentially, at higher doses.

6 DR. COYLE: Thank you, Dr. Le.

7 Dr. Kim?

8 DR. KIM: Just a couple of thoughts about  
9 whether or not a higher dose would then be useful  
10 of our resources that are already limited,  
11 considering we're at 2023 and discussing data  
12 post-2007 to look at the effectiveness of this,  
13 when we have decongestants that are available and  
14 much more effective topically, I'm not sure this is  
15 a good diversion of any of our efforts, whether  
16 it's higher dosing or just, in general, looking at  
17 phenylephrine again.

18 DR. COYLE: Thank you.

19 Ms. Schwartzott, please go ahead.

20 MS. SCHWARTZOTT: Jennifer Schwartzott,  
21 patient representative. The evidence showed, and  
22 the study showed, that you would have to go at

1 least over 40 milligrams, and then you start having  
2 the safety risk, and that's still even questionable  
3 with the efficacy. I think we have an ethical and  
4 a moral obligation to the patients. There are  
5 other alternatives out there, and hopefully other  
6 companies will come up with new medications in the  
7 future to replace this. But now that we know what  
8 we know, I think it's up to us to say this is where  
9 this ends.

10 DR. COYLE: I'm just scrolling to see if I  
11 have any other comments from the panel.

12 Dr. King, please go ahead.

13 DR. KING: Thank you. Tonya King. I was  
14 just going to comment that I thought it was  
15 interesting that in the FDA briefing document, this  
16 study wasn't discussed or reviewed, but there was a  
17 reference to an article in 1933 that performed an  
18 analysis on phenylephrine, and they stated that in  
19 their analysis, they calculated the minimal pressor  
20 dose, so the PD effect on blood pressure of oral  
21 phenylephrine was 70 milligrams, and they found the  
22 minimally effective dose to be approximately

1 120 milligrams.

2 So it seemed as though an effective dose  
3 would not be safe just based on that and in review  
4 of other prior studies. I thought that was  
5 interesting, and I agree with the other comments  
6 stated thus far, that it is not worthwhile to  
7 pursue higher doses of phenylephrine. Thank you.

8 DR. COYLE: Thank you.

9 Dr. Amirshahi?

10 DR. AMIRSHAHI: Maryann Amirshahi. I also  
11 agree that I don't think that we need to pursue  
12 this further. The preliminary data that we have  
13 looking at higher doses doesn't really suggest a  
14 benefit, and I think we really need to consider  
15 resource utilization. Robust, large-scale clinical  
16 trials are very costly, and I feel that we're going  
17 to reach a point of futility at some point. Then  
18 also, once again, in the interim, while we're kind  
19 of further evaluating this, what is the status, and  
20 how much will people spend on the products if it  
21 continues to stay on the market while we're  
22 investigating something further?

1           So I really feel that the cost benefit for  
2 these particular studies really isn't there when we  
3 look at it objectively, and we have readily  
4 available and several alternatives. We have  
5 pseudoephedrine, and we also have topical products,  
6 so it's not like there is a huge void that will  
7 leave patients without any alternatives. Thank  
8 you.

9           DR. COYLE: Thank you.

10           So I'm hearing a broad consensus that the  
11 advisory committee members do not necessarily  
12 support a higher dosage be explored for OTC use. I  
13 want to invite any alternative viewpoints, if there  
14 are such, amongst the committee members, or any  
15 additional information that hasn't been brought out  
16 as an argument for or against this approach that we  
17 have not yet covered. I want to be a good shepherd  
18 of your time, but I also want to make sure that all  
19 viewpoints are being heard.

20           (No response.)

21           DR. COYLE: So maybe a last call for any  
22 questions or comments related to this discussion

1 question.

2 (No response.)

3 DR. COYLE: FDA, I think our opinion has  
4 been shared loudly and clearly through this brief  
5 but very much aligned set of viewpoints.

6 Alright. We will move on to question 4, and  
7 again, we will follow our format, clarifying any  
8 comments or questions related to the wording of the  
9 question itself, and then opening up for  
10 discussion. I think this is the question where we  
11 should broadly explore the question.

12 So first things first, discuss the  
13 implications for and communication strategies to  
14 consumers of the current oral phenylephrine data.  
15 Let me know if there are any questions or comments  
16 around the wording, please.

17 (No response.)

18 DR. COYLE: Okay. Seeing none, I will open  
19 this question up for discussion, and I will begin  
20 with Dr. Clement.

21 DR. CLEMENT: Steve Clement, Inova Hospital,  
22 a student of allergy and sinusitis now, after this



1       amazing discussion. This is an amazing paper.  
2       This is Dr. Dykewicz's epic panel of rhinitis 2020.  
3       I applaud you for putting this together. I know  
4       how hard putting together a group of authors that's  
5       this long is. And in there, I went through it and  
6       read figures 2, 3, 4, and 5. It's basically a  
7       bible on how to treat this condition.

8               I also applaud the FDA for bringing him in.  
9       This is a loaded panel that knows how to treat this  
10       disease, so I would submit that Dr. Dykewicz should  
11       be head of that panel to basically consult to the  
12       FDA to come up with a wording of alternatives, and  
13       we have wonderful alternatives that we did not have  
14       in 2007 and all these other years. So that may  
15       have been the reason there was some ambivalence  
16       with the previous committees. There are tons of  
17       stuff out there that's available, that's safe, and  
18       effective, and over the counter.

19               DR. COYLE: Thank you.

20               Dr. Ginsburg?

21               DR. GINSBURG: Diane Ginsburg, University of  
22       Texas at Austin College of Pharmacy. This is

1 probably going to seem like a no-brainer, but two  
2 things are related to this. I think there's a huge  
3 potential for consumer concern that something that  
4 had been on the market for years, that there's a  
5 recommendation now to remove it, and seeing  
6 significant confusion with that. There were two  
7 public comments that were submitted by I'm  
8 presuming two patients who have used phenylephrine  
9 about please do not remove this from the market;  
10 this works for me; I don't have alternatives.

11 So I think it's going to be critical that  
12 they're in the communication, and there are  
13 assurances to patients who might still have these  
14 products, that no harm hopefully will come to them.  
15 That reassurance I think is going to be absolutely  
16 critical, and I think that's really important in  
17 terms of looking at any questions or criticisms  
18 related to the credibility of the FDA. I'm not  
19 being critical of the credibility, but I think that  
20 potentially that perception could be there.

21 The real positive here to me is I think this  
22 is a great opportunity from an educational

1 perspective to educate consumers on the fact that  
2 there are a lot more ways to treat these  
3 conditions, and I concur with what Dr. Clement just  
4 said about the bible of how to treat this. I think  
5 we have a real opportunity to do that, and I would  
6 encourage utilization of professional  
7 organizations, and medicine, and pharmacy to help  
8 assist with getting this message out and help in  
9 supporting the information that would come out from  
10 the FDA. I realize that there is a financial  
11 concern on industry, but I believe we were not  
12 asked to look at that, and if I have to balance  
13 finance versus patient safety, I will take patient  
14 safety any day of the week. Thanks for the  
15 opportunity to comment.

16 DR. COYLE: Thank you, Dr. Ginsberg.

17 Dr. Dykewicz, please go ahead.

18 DR. DYKEWICZ: Well, thank you for the last  
19 comments [indiscernible] from Dr. Clements.

20 Rhinitis 2020 -- just a little bit of  
21 background -- was a major consensus document that  
22 was put together under the sponsorship of the major

1 allergy organizations in the U.S., the American  
2 Academy of Allergy, Asthma, and Immunology and the  
3 American College of Allergy, Asthma, and  
4 Immunology. We wrote the document with the thought  
5 that it did have a dual audience of not only  
6 healthcare providers but patients, because it does  
7 give guidelines looking at different severities and  
8 frequencies of rhinitis, both allergic and  
9 non-allergic, about what can be done.

10 So obviously, from my own perspective, I  
11 think that it could be very useful as a basis for  
12 patient education. I would note as an aside, this  
13 came out in 2020 as we were entering into the early  
14 phase of the pandemic, so I think it did not get  
15 the recognition or the publicity because we were  
16 busy with COVID. But the strategies for patient  
17 self-management even are there, and I think it's a  
18 matter of educating people that there are  
19 alternative approaches, and they're not being left  
20 out in the cold, and acquainting them with what the  
21 alternative approaches would be. Thank you.

22 DR. COYLE: Thank you.

1 Ms. Schwartzott?

2 MS. SCHWARTZOTT: Jennifer Schwartzott,  
3 patient representative. I think the consumers have  
4 a right to know that this medication that they've  
5 been taking for all these years shows a lack of  
6 efficacy. I think they need to understand the  
7 science behind it and why the further study was  
8 requested, and have an explanation in layman's  
9 terms of the reason behind the question and why we  
10 are making these recommendations.

11 I think it's really important that the FDA  
12 is very clear and very transparent. We need to  
13 build some trust, especially with this one, but  
14 with other medications and devices. I think that  
15 this information should be shared with doctors that  
16 are out there treating these patients, and also  
17 with pharmacists and other medical personnel so  
18 that they can explain to their patients why and  
19 what the problem is here. Again, transparency is  
20 key, and trust needs to be fostered with the  
21 public. Thank you.

22 DR. COYLE: Thank you for your comments.

1 Dr. D'Agostino?

2 DR. D'AGOSTINO: Yes. Emma D'Agostino. I  
3 have a lot of buckets of thoughts, but I'll start  
4 with going off with what Dr. Ginsburg said. I  
5 think there is definitely a lot of potential for  
6 confusion, so one bucket of thoughts that I have is  
7 around -- as a couple of people have said  
8 now -- definitely needing to find a way to really  
9 simply communicate what has happened, what is the  
10 FDA process for thinking about why are we pulling  
11 medication off the market. How do we go about this  
12 process? Why did we pull this specific medication?  
13 What was the data? What studies did we do? There  
14 may be questions about why did it take so long like  
15 we heard here over the past two days, and then  
16 where do we go from here?

17 As we said, people are not left out in the  
18 cold, but where are they going to go from here?  
19 What do I do if I have a cold? What do I do if I  
20 have allergies? What are my alternatives? There's  
21 going to be a lot to think about how we reach  
22 people. Is that at the stores, over social media,

1 at their pharmacies, at their doctor, on the radio,  
2 TV news? Literally, just where do you reach  
3 people, and then how do you communicate to people,  
4 and at least three buckets of communication of what  
5 did the FDA do, what is the data showing, why did  
6 they do it, and now where do we go from here? I  
7 think that's a lot of complex communication to  
8 think through.

9 The last thing I'll say for now is there are  
10 a couple of buckets of confusion that I can think  
11 of, which I also saw with those public comments.  
12 There's some confusion about what is even getting  
13 pulled from the market. Are people going to think  
14 that pseudoephedrine is getting pulled from the  
15 market? Are they going to think that intranasal  
16 phenylephrine is getting pulled from the market?  
17 Are they going to trust the combination products  
18 that previously had phenylephrine? The common  
19 products that aren't working, is it Afrin? Is it  
20 pseudoephedrine? So there's a ton of potential for  
21 consumer confusion that I think is going to need to  
22 be very carefully thought through with the

1 communication strategies. Thank you.

2 DR. COYLE: Thank you, and thank you for  
3 identifying not only the issue around  
4 phenylephrine's status changing, but particularly  
5 the complexity of the OTC space in which it  
6 resides, being part of combination products perhaps  
7 more often than as a single entity.

8 Dr. Brittain?

9 DR. BRITTAIN: Kristy Brittain, Medical  
10 University of South Carolina. I kind of have very  
11 similar comments to what were shared. One, I do  
12 think, as this was raised yesterday, there may be  
13 some question about the timeliness of some of the  
14 the studies' new data to now and why it took so  
15 long in order to get to this point.

16 Then second, I think it really more refers  
17 to those combination products. I would argue that  
18 there's probably a lot of patients that don't even  
19 know that they have taken phenylephrine because of  
20 the vast array of OTC products that include it. So  
21 I think seeing information about a drug no longer  
22 being available or pulled off the market, I think



1 is concerning, but I do think that the public may  
2 be concerned about that just because of its  
3 presence in so many different places. The OTC  
4 aisles are very, very full, particularly for  
5 cough-and-cold products, and phenylephrine is in  
6 many, many of them, so just, I think, emphasis on  
7 that is extremely important.

8 DR. COYLE: Thank you.

9 Dr. Amirshahi?

10 DR. AMIRSHAHI: Hi. Maryann Amirshahi. I  
11 had a couple comments. I think as far as  
12 communications go, I think we need to be  
13 transparent regarding the delay and also why we're  
14 withdrawing the product from the market. I think  
15 it's definitely worth stating that medications that  
16 were approved many years ago don't have the same  
17 data that they do now, so I think it's a good thing  
18 that we also communicate that we are reassessing  
19 the products that are out there when a concern  
20 arises.

21 I think we also need to be mindful in our  
22 messaging that there really isn't a lot of safety

1 concerns. If a drug is removed from the market, a  
2 lot of times it's related to safety concerns, and I  
3 think that we really do need to communicate that it  
4 was more of an efficacy issue as opposed to a  
5 safety issue. Additionally, I think we need to  
6 provide guidance to patients as to what are the  
7 next steps, what are their alternatives, what  
8 products will be available to them, and finally,  
9 what to do with the products that they have on  
10 hand. I know that I keep a bunch of these in my  
11 house for when we have sniffles; in fact, I have a  
12 little cold now.

13 But that being said, the other thing I think  
14 we need to do is really communicate with our  
15 providers because, often, communication from the  
16 FDA really doesn't reach the average person in many  
17 circumstances, but really engaging providers with  
18 regard to the data so that they can address any  
19 questions, because they have a much more intimate  
20 relationship with patients, I think is critically  
21 important. I went through pharmacy school and  
22 medical school, and I have taken courses on

1 over-the-counter medication, and I had no idea,  
2 until prepping for this, how limited the data was  
3 with regard to the efficacy of phenylephrine.  
4 That's all the comments that I had with regard to  
5 communication. Thank you.

6 DR. COYLE: Thank you.

7 I just want to summarize what we've heard  
8 thus far. I think concern that any change in  
9 status for OTC phenylephrine be communicated  
10 clearly and that the public be reassured as to a  
11 lack of effectiveness or a lack of efficacy as the  
12 driver for this change rather than safety concerns,  
13 and I think recognizing that some patients may be  
14 very in tune to the ingredients of their OTC  
15 products and may be very concerned that this  
16 product is not going to potentially be available,  
17 and maybe on the flip side, patients who are less  
18 in tune with the individual ingredients may in fact  
19 not even be able to discern a difference.

20 I think also recognizing that we've been  
21 discussing maybe an assumption that phenylephrine  
22 would be withdrawn from the monograph, but I want

1 to acknowledge that that's not really what we have  
2 voted on per se, so the FDA will have to make that  
3 determination. But regardless, I do think  
4 information about this meeting and this  
5 deliberation of the advisory committee is out in  
6 the media and maybe reaching the level of awareness  
7 of many of our patients, so regardless of the  
8 outcome of these deliberations, perhaps some  
9 careful communication needs to happen, and that  
10 should probably happen at multiple levels, reaching  
11 providers, patients, consumer groups, and maybe in  
12 a variety of formats.

13 I think we probably have some time for  
14 additional comments, so Dr. Le, please go ahead.

15 DR. LE: Thank you for that summary. Just  
16 two additional things I wanted to add. I'm in  
17 agreement with Dr. Ginsburg saying how do we spread  
18 the words? Of course, curious patients will come  
19 and find out for themselves, but the point of  
20 contact is still over the counter. So I think  
21 reaching out to definitely medical, as well as  
22 pharmacy organizations, and particularly ones that

1 involve the independent pharmacies, the National  
2 Community Pharmacists, NCPA, and others that have  
3 direct contact with OTC products, I think it's  
4 going to be very important to spread the words and  
5 really tackle each patient one on one. That would  
6 be great.

7           What I also found useful, especially during  
8 COVID, was a YouTube video, actually, that CDC put  
9 out on COVID transmission to really talk about the  
10 data, because the scientific data presented here  
11 could be hard to understand from the efficacy and  
12 pharmacologic data. So to make it in reasonable  
13 lay language form and through social media platform  
14 can help spread the word as well.

15           Lastly, from the consumer perspective, I  
16 think one of the confusions that consumers might  
17 get is GRAS-E classification. I know I probably  
18 learned about this in pharmacy school, but I really  
19 didn't see that GRAS component until recently when  
20 I served on the National Science Foundation that  
21 used this terminology for food. So I would say  
22 that most consumers when they see GRAS, it's like,

1 "Okay. It's safe. I can take it because it's  
2 food." So I'm wondering if consumers can be better  
3 educated on that terminology that we're using as  
4 well, and of course adding on the E component for  
5 efficacy. Thank you.

6 DR. COYLE: Thank you, Dr. Le.

7 Dr. Jones, please go ahead.

8 DR. JONES: Dr. Bridgette Jones. The only  
9 other things I would add is I agree that this is an  
10 opportunity for education, specifically to provide  
11 more education about the common cold and allergic  
12 rhinitis, and the natural history and course of  
13 these I think is really important and what the  
14 appropriate and effective medications are for  
15 treatment of each of these conditions.

16 Several years ago, cough-and-cold  
17 medications were removed from the market for very  
18 young children, and when that happened, we had an  
19 opportunity to do a lot more teaching with families  
20 and with patients about the common cold itself and  
21 that it's a self-limiting condition in most cases,  
22 and what the current effective treatments are. So

1 I think this presents another opportunity in all  
2 age groups to teach about that.

3 I think the other thing that maybe hasn't  
4 been mentioned in regards to education is also  
5 making sure that patients and consumers are  
6 informed of how to access pseudoephedrine behind  
7 the counter because it has been some time since  
8 it's been moved to behind the counter, so I suspect  
9 there may be some people in the public who may not  
10 be aware of even how to to access.

11 The other thing that I would mention again  
12 and just reiterate is making sure that the FDA  
13 works with trusted partners to get these messages  
14 out. For example, the American Academy of  
15 Pediatrics is one that has a broad voice and has a  
16 publicly available web page, so not only getting  
17 that message out to the providers but also to the  
18 patients and the families as well I think is really  
19 important, and then also making sure that the  
20 message is reaching those that are in rural and  
21 underserved areas, and developing plans  
22 specifically for those patient groups is important.

1 DR. COYLE: Thank you, Dr. Jones. And just  
2 to clarify, you were mentioning some education on  
3 putting medications behind the counter or accessing  
4 medications that were behind the counter, and you  
5 were referencing helping consumers understand how  
6 to access pseudoephedrine.

7 DR. JONES: Pseudoephedrine, yes.

8 DR. COYLE: Okay. I just wanted to make  
9 sure we were all understanding you correctly.

10 Dr. Blalock, go ahead.

11 DR. BLALOCK: This is Sue Blalock. I'll try  
12 not to repeat anything that others have already  
13 said. One thing, though, that I want to add, is we  
14 live in a very small world, and if this panel was  
15 meeting 50 years ago, it might take 24 hours for  
16 this to hit the news. Sitting here at home, and I  
17 just Googled this, NBC News, the headline on my  
18 iPhone, "FDA panel says common over-the-counter  
19 decongestant doesn't work."

20 So I think when you talk about communication  
21 strategy, FDA really needs to think about it a  
22 little bit in phases, and the first phase is what's



1 the message today? And hopefully FDA has already  
2 been working on this and has some messages in the  
3 bank to make sure that there's minimal confusion  
4 going on, on Twitter and Facebook as we speak.

5 Then phase 2 is that I doubt very much that  
6 FDA is going to make a a decision on this within  
7 the next week, so what are the messages that take  
8 place between now and the time that the decision is  
9 made? Then more to what other folks have been  
10 speaking to after the decision is made or when the  
11 decision is imminent, what the strategy is.

12 The only other thing that I'll say -- and  
13 this is as a behavioral scientist -- is people  
14 really don't like change, and this is going to  
15 change how the cold-and-cough aisle looks in the  
16 pharmacy, so FDA really shouldn't underestimate the  
17 communication challenges that are going to be  
18 associated with this, but that does not suggest in  
19 any way that they should not stay the course.  
20 That's it.

21 DR. COYLE: Thank you, Dr. Blalock, and I  
22 particularly appreciate your specific suggestions

1 in regards to the phases, thinking about that  
2 communication in a very ordered and longitudinal  
3 manner.

4 Dr. Pisarik?

5 DR. PISARIK: Paul Pisarik, Archwell Health.

6 I just wanted to comment about the complexity of  
7 all the over-the-counter combination products that  
8 are out there. On the FDA's list that we got,  
9 there are 29 different categories of combination  
10 products that are out there for colds. If  
11 phenylephrine is taken out, it will shrink it down  
12 quite a bit just to 13 categories of combination  
13 products.

14 Something that would help me talk to my  
15 patients and patients talk to me would be a kind of  
16 a labeling system, where each category has a  
17 certain letter to it so that patients can look at a  
18 chart. If they want an antihistamine decongestant  
19 product, they go to product C, and then C would be  
20 labeled on all different medications that are  
21 over-the-counter combination products, and they can  
22 see all the different ones that are C, so they know

1 exactly what to get in terms of something that  
2 might help relieve their symptoms.

3           When I go to the cough-and-cold section of a  
4 of a store and I'm looking at the products out  
5 there, I'm scratching my head, and it takes me 10  
6 or 15 minutes just to look through everything and  
7 read through all the labels to see exactly what I  
8 want. I mentioned this to the chairman 11 years  
9 ago when I first started my first term with NDAC,  
10 and he told me it'd be easier to be elected to  
11 Congress than to get this labeling change done.  
12 But I think in terms of educating patients and  
13 having them educate us as to what they're taking, I  
14 think that'd be a great step forward. One of the  
15 categories would be if you want an oral  
16 over-the-counter decongestant, then you have to go  
17 behind the pharmacy for the pharmacist to dispense  
18 the pseudoephedrine. Anyway, that's my little  
19 spiel on labeling complexity.

20           DR. COYLE: Thank you, Dr. Pisarik. I think  
21 on a few occasions recently, NDAC and its partners  
22 have asked the FDA -- have raised the bar, and have

1 set new expectations for what we would like to see  
2 happen, and I think they're listening. I hope  
3 they're listening. Thank you for adding that  
4 comment.

5 I'm going to call on Dr. D'Agostino.

6 DR. D'AGOSTINO: Yes. Emma D'Agostino.

7 Just a small point going back to safety, if  
8 consumers are going to shift their use to other  
9 over-the-counter drugs, when we're thinking about  
10 communication strategies, one advantage of  
11 phenylephrine is that it is pretty safe. There  
12 aren't really any adverse events that we're  
13 concerned about, but for other OTC drugs, there are  
14 potential safety concerns. We know that if you use  
15 intranasal phenylephrine for a long time, just as  
16 an example, there are potential adverse events.

17 So that's just one thing to think about for  
18 communication strategies, that we really need to  
19 communicate on the safety of the alternative  
20 medications and really work that into the  
21 communication of your alternatives, and this is how  
22 they really need to be used. Thank you.

1 DR. COYLE: Thank you.

2 Ms. Schwartzott, please go ahead.

3 MS. SCHWARTZOTT: I was thinking about this  
4 is going to be a difficult transition if they do  
5 choose to remove this product from the market, but  
6 the drug companies have made billions of dollars  
7 off of this medication for all these years. All  
8 drug companies are very, very good at their  
9 commercials -- I remember the video yesterday with  
10 one of the speakers with the cartoon heads  
11 exploding -- and they've been making these promises  
12 that this medication can fix their nasal  
13 congestion.

14 So the same things can happen now. They can  
15 start marketing the products that will be left on  
16 the market. This is a good chance to share the  
17 education and share what's out there with guidance  
18 from the FDA and the public. But what's the most  
19 important is that the people are getting the  
20 correct medications. I like the labeling  
21 suggestions. I also like that pseudoephedrine is  
22 behind with the pharmacist because they can explain

1 to people if that is the right medication for them.  
2 There are a lot of people out there with  
3 cardiovascular risks that should not be taking  
4 that.

5 So it's a great chance for education. It is  
6 definitely possible to get this information out to  
7 the public. I mean, it's very interesting that  
8 what we've said has already made it to social media  
9 in this short of time; so just my two cents. Thank  
10 you.

11 DR. COYLE: Thank you.

12 Dr. Brittain, what would you like to add?

13 DR. BRITTAIN: Kristy Brittain, Medical  
14 University of South Carolina. There have been a  
15 few comments about labeling of over-the-counter  
16 products, and I think while maybe there are some  
17 improvements that can be suggested, I think it's a  
18 prudent educational point that patients are  
19 instructed about the drug fact labeling that is on  
20 over-the-counter products that does include what  
21 the active ingredients are and what their mechanism  
22 or use is. That I think is an important thing, but

1 it does require you to flip the box around or  
2 actually look at the drug fact labeling. So that  
3 is something that is there. It's on all  
4 over-the-counter products with active ingredients,  
5 and, again, guiding individuals to that I think  
6 would also be helpful.

7 DR. COYLE: Thank you.

8 Dr. Clement?

9 DR. CLEMENT: Yes. Sorry. Just one other  
10 comment. This is a little bit on the higher  
11 30,000-foot view that maybe even the FDA  
12 commissioner may need to get involved in, is that  
13 this may be one of the first where a drug is  
14 actually taken off, not for a safety reason but  
15 because a look-back on efficacy. One of the issues  
16 that startled me when I was going through the  
17 documents that were sent is this is a safety issue  
18 that may have never happened because it was really  
19 sort of a chance that Merck did this study, and it  
20 was really a chance that J&J did this study, and  
21 that the FDA had due diligence to put their foot  
22 down and say this is not right.

1           If those studies didn't happen, it never  
2 would have been caught. So from a safety  
3 standpoint, we do a lot of safety issues in the  
4 hospital. This is in the category called a good  
5 catch. If you look at Sentinel events, how do you  
6 prevent Sentinel events? This is a good catch, and  
7 from a communications standpoint, maybe the  
8 commissioner or someone higher up could talk about  
9 that. With this new legislation that came through  
10 with the CARES Act and so forth, the FDA has the  
11 opportunity to do a look-back on some of these  
12 things that got grandfathered in that maybe did not  
13 get the methodology on GCP and so forth, and can  
14 actually catch these things and help public health  
15 from that standpoint.

16           DR. COYLE: Thank you, Dr. Clement.

17           If I were to summarize, again, the most  
18 recent comments for the benefit of the group, I  
19 think looking at some specific strategies; thinking  
20 about communication to both reassure consumers as  
21 to the availability of effective products going  
22 forward; as well as the importance of removing



1 products that are potentially ineffective as being  
2 primary, that transparency that was mentioned  
3 throughout.

4 Are there other additional perspectives?  
5 While I issue this last call, I just want to thank  
6 our consumer and patient representatives for  
7 sharing their perspectives in particular, as well  
8 as acknowledging Dr. Blalock's statement that  
9 change is hard, and for some patients it may be  
10 very challenging if their product of choice is not  
11 available.

12 It looks like FDA may want an opportunity to  
13 respond to some of these most recent comments, so  
14 I'm going to allow that, and then, Dr. D'Agostino,  
15 I'll circle back to you here.

16 So FDA go ahead.

17 DR. MICHELE: Thank you, Dr. Coyle. I  
18 appreciate that we have other comments. I thought  
19 we were at the end. But I do want to thank the  
20 committee once again for all of your thoughtful  
21 consideration. I do want to assure you that, yes,  
22 we are listening. As you were speaking, I was

1 typing frantically all of the advice that you're  
2 giving us, which we will certainly take back and  
3 consider, along with the public comments, and along  
4 with the comments from industry. We do have a  
5 docket for this.

6 I'd also note that for consumers, we have a  
7 number of websites up on cough-cold products, on  
8 allergy products, that talk about a variety of  
9 different alternatives, particularly for pediatrics  
10 and treating children with colds and upper  
11 respiratory infections. So thank you everyone. We  
12 appreciate all of the input.

13 DR. COYLE: Thank you, Dr. Michele.

14 Dr. D'Agostino, would you like to add  
15 another comment, please?

16 DR. D'AGOSTINO: Sure. Just two final  
17 thoughts. This is Emma D'Agostino. One that came  
18 up yesterday, but it was also one of the first  
19 things I thought of, as we've talked about, if  
20 phenylephrine were to become unavailable, this  
21 would have a pretty big impact on the market. And  
22 I know that we're not talking about the impacts to

1 industry, but CHPA did talk about how this could  
2 potentially have supply chain shortages, and that  
3 was one of my first thoughts as well.

4 So I think just something for the FDA to  
5 think about would be to really think about the  
6 roll-out of this decision in a really thoughtful  
7 manner for the industry side of things as well, to  
8 make sure that any impacts to consumers would be  
9 minimized, so that if we're telling them that they  
10 do have these alternatives, that they're going to  
11 actually be available on shelf. Then along that  
12 same thread, potentially try to minimize those  
13 impacts.

14 I kind of brought this up this morning, but  
15 this seems like a good opportunity to remind people  
16 that as we're going into the flu season and we're  
17 in another COVID surge, colds often look  
18 like -- COVID often has the same symptoms as colds,  
19 and flu often can mimic a cold, so there are also  
20 other drugs like Paxlovid, and we have Tamiflu,  
21 [indiscernible], so there may be other effective  
22 drugs that you could take, and what would those

1 steps look like, too. Thank you.

2 DR. COYLE: Thank you.

3 I don't see additional comments from our  
4 panelists.

5 FDA, is there anything that you would like  
6 to say that was not just captured by Dr. Michele?  
7 Any final thoughts for us?

8 (No response.)

9 **Adjournment**

10 DR. COYLE: I would say to the FDA, thank  
11 you for engaging us in this conversation, and thank  
12 you to the panelists. As we close, I'd really like  
13 to applaud your efforts, the wide variety of  
14 perspectives that you offered, really highlighting  
15 the importance of our role in protecting the public  
16 trust and being advocates for the public, making  
17 sure that products that are available to them are  
18 not only safe but highly effective and worth their  
19 hard-earned investment for health.

20 I greatly appreciate those of you who  
21 participated throughout the conversation. I think  
22 everyone contributed from their level of expertise.

1 I want to thank the Consumer Healthcare Protection  
2 Agency also for their contributions and their  
3 willingness to answer questions as we went through  
4 these past few days, and the speakers in the open  
5 public hearing, particularly those that devoted  
6 quite a bit of time in making sure that their data  
7 was seen and viewed through the lens of a scientist  
8 and particularly helpful for all of us.

9 So at this point, given that our business is  
10 concluded, I will go ahead and adjourn the meeting.  
11 Thank you all, and enjoy the rest of your day.

12 (Whereupon, at 11:57 a.m., the meeting was  
13 adjourned.)  
14  
15  
16  
17  
18  
19  
20  
21  
22