

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION

+++

CENTER FOR DEVICES AND RADIOLOGICAL HEALTH

+++

PATIENT ENGAGEMENT ADVISORY COMMITTEE (PEAC)

+++

September 6, 2023

10:00 a.m. EST

Via Web Conference

Transcript Produced By:



Translation Excellence

3300 South Parker Road, Aurora, CO 80014

<https://translationexcellence.com/>

Participants

Chair	Paul T. Conway	Chair, Policy & Global Affairs, American Association of Kidney Patients
Voting Members	Ian D. Burkhart	Vice President, North American Spinal Cord Injury Consortium
	Necie I. Edwards	Patient Advocacy, Fibro Patient Education & Support
	Elizabeth A. Joniak-Grant, Ph.D.	Sociological Qualitative Research Consultant & Patient Experience Collaborator, Injury Prevention Research Center
	Rita T. Roy, M.D.	CEO, National Spine Health Foundation
Consumer Representative	Teresa M. Diaz	Co-Founder, Global Patient Advocacy Coalition
Temporary Non-Voting Members	Gabrielle (Ella) Balasa	Patient Advocacy and Engagement Consultant
	Gwenyth Fischer, M.D.	Associate Director, University of Minnesota Bakken Medical Device Center, University of Minnesota College of Medicine
	Anne Peters, M.D.	Professor of Clinical Medicine, Clinical Scholar, Keck School of Medicine, University of Southern California
	Amy M. Sitapati, M.D.	Clinical Professor; Chief, Division of Biomedical Informatics, University of California San Diego
	David M. White	Proofreader, Healthcare Consultant, Kidney Transplant Recipient
	Michael S. Wolf, Ph.D., M.P.H., M.A.	Professor of Medicine; Director, Center for Applied Health Research on Aging (CAHRA), Feinberg School of Medicine, Northwestern University
	Stephen B. Wilcox, Ph.D., FIDSA	Chairman of the Board, Design Science
	Naveena Yanamala, M.S., Ph.D	Associate Professor of Medicine; Director of Data Science and Machine Learning Research, Rutgers Robert Wood Johnson Medical School
Industry Representative	Jijo James, M.D.	Chief Medical Officer, Johnson & Johnson Medical Devices Companies & Global External Innovation
FDA	Kathryn Capanna, M.B.A.	Associate Office Director (Acting), Office of Strategic Partnerships Technology Innovation (OST), FDA
	Owen Faris, Ph.D.	Principal Deputy Director, Office of Product Evaluation and Quality (OPEQ), FDA
	Michelle Tarver, M.D., Ph.D.	Deputy Center Director, Chief Transformation Officer, FDA
	Alicia Witters	Acting Director/Deputy Director Office of Communication & Education, FDA
Designated Federal Officer	Letise Williams	Office of Strategic Partnership and Technology Innovation, CDRH, FDA

FDA Speakers	Robert M. Califf, M.D.	Commissioner, FDA
	Jeffrey Shuren, M.D., J.D.	Director, CDRH, FDA
Stakeholder Presenters	Patrick O. Gee, Sr., Ph.D.	Former Peritoneal/Hemodialysis Patient, Kidney Transplant Recipient
	Roseanne Gichuru, D.O., M.B.A., FACCOG, CPE	Obstetrics & Gynecology Specialist
	Jennifer Jones- McMeans, Ph.D.	Divisional Vice President of Global Clinical Affairs, Abbott Vascular
	Jennifer Goldsack, M.Chem., M.A., M.B.A., OLY	Chief Executive Officer, Digital Medicine Society (DiMe)
	Tesheia Johnson, M.B.A., M.H.S	Deputy Director and Chief Operating Officer of YCCI and the Director for Clinical Research, Yale School of Medicine
	Behtash Bahador, M.S.	Director of Health Literacy, Center for Information & Study on Clinical Research Participation (CISCRP)
	Karriem S. Watson, D.H.Sc, M.S., M.P.H.	Chief Engagement Officer of All of US Research Program, National Institutes of Health
Open Public Hearing Speakers	Karin Hoelzer, D.V.M., Ph.D	Director, Policy and Regulatory Affairs, National Organization for Rare Disorders (NORD)
	Bibb Allen, Jr., M.D., FACR	Grand View Medical Center, Birmingham, Alabama; Chief Medical Officer, American College of Radiology (ACR), Data Science Institute
	Keith J. Dreyer, D.O., Ph.D., FACR, FSIIM	Chief Science Officer, ACR Data Science Institute; Chief Data Science Officer, Mass General Brigham; Associate Professor, Harvard Medical School
	Ivor Braden Horn, M.D., M.P.H.	Chief Health Equity Officer, Google
	Andrew M. Namen, M.D., FAASM, FCCP	Member, Public Safety Committee, American Academy of Sleep Medicine (AASM)
	Grace Wickerson	Health Equity Policy Manager, Federation of American Scientists (FAS)
	Jennifer Doyle	Vice President of Enterprise Clinical Research and Medical Science, Medtronic

Contents

Call to Order 5
Introductions 8
Welcome from FDA Commissioner — Dr. Robert Califf 13
Conflict of Interest Disclosure Statement & Administrative Remarks 18
Opening Remarks — Dr. Jeffrey Shuren 22
FDA Perspective: Advancing Health Equity in Medical Devices — Dr. Michelle Tarver 25
Stakeholder Presentations 34
 A Patient’s Health Journey — Dr. Patrick O. Gee, Sr. 34
 Medical Devices as Drivers of Health Equity in Women’s Health in Rural and Underserved Communities — Dr. Roseanne Gichuru 37
 Advancing Equity In Medical Devices Innovation —Dr. Jennifer Jones-McMeans 40
 Designing with the End User in Mind — Jennifer Goldsack 44
 Open Committee Discussion 48
Stakeholder Presentations (Continued) 64
 Understanding Health Equity in Clinical Trials — Tesheia Johnson 65
 Advancing Health Equity in Medical Devices: Health Literacy and Community Outreach — Behtash Bahador 69
 The NIH All of Us Research Program: Accelerating Health Research Through Community Engagement — Dr. Karriem S. Watson 73
 Open Committee Discussion & Clarifying Questions 78
Open Public Hearing 95
 Q & A for Public Speakers 117
Committee Discussion of FDA Questions 118
 Question One 119
 Question Two 133
 Question Three 152
 Question Four 160
Adjournment 166

1

Call to Order

2 Mr. Conway: Good morning. I'd like to call this meeting of FDA's patient engagement advisory
3 committee or the PEAC on September 6, 2023 to order. My name is Paul Conway, and I have the
4 honor to serve the FDA as the chair of this committee. Outside of government and outside of this
5 committee, I serve as the Chair of Policy and Global Affairs for the American Association of
6 Kidney Patients, the largest independent kidney patient organization in the nation. I'm also a
7 kidney patient of 46 years. As a patient, I lived for three years on dialysis, and for the past 26
8 years, I've lived with a kidney transplant from a selfless organ donor. For those who are watching
9 or listening to the proceedings of the PEAC for the first time, it is important to highlight the
10 distinctive nature of this FDA advisory committee. The PEAC is the only FDA advisory
11 committee that is comprised solely of patients, caregivers, and patient advocates. The stated
12 purpose and general function of the committee is to provide advice and recommendations to the
13 agency on complex issues relating to medical devices, the regulation of devices, and their use by
14 patients.

15 Our first meeting occurred in the fall of 2017. The advisory committee structure and
16 process is the most formal public way that the FDA can receive advice from the American public
17 on scientific matters. The creation of the PEAC by FDA was a significant recognition of the
18 importance of patient, consumer, and caregiver insights on matters that are within the regulatory
19 purview of the FDA. As you will hear shortly, the PEAC is comprised of members with unique
20 lived experiences as patients, caregivers, and patient advocates. Throughout the course of our
21 advisory committee operations, our deliberations have been informed by a wide variety of other
22 expert participants. For example, joining the PEAC today are members with expertise in various
23 disease and condition areas. We have a few additional experts participating with insights on

1 digital health technologies, user-centered design, underserved population, women's health,
2 pediatrics, and more.

3 The formal elevation of patient consumers and their unique insights by FDA reflects a far
4 wider and profound evolution over the past decade in how patients and their lived experiences
5 are valued by experts in the medical professions, government and industry, and academia. This
6 evolution has led to a higher acceptance and understanding of patient insight data as evidence to
7 inform and shape decision making. It also represents an important step away from the era of
8 paternalism, when decisions could be made about patients without patient involvement. Over the
9 course of the past five years, the PEAC has had a substantive impact upon FDA deliberations and
10 work. The findings of our 2017 meeting ultimately led to FDA issuing a final guidance document
11 on patient engagement in the design and conduct of medical device clinical studies. The PEAC
12 has provided recommendations on FDA's communications efforts related to medical device
13 recalls and cybersecurity risks and actively contributed to many other efforts, including advice
14 related to patient generated health data, artificial intelligence, and machine-learning enabled
15 devices, and augmented reality, virtual reality medical devices. The PEAC has served as an
16 important model of effective patient engagement for the FDA and FDA has increased the
17 involvement of patients and other agency stakeholder meetings, including those associated with
18 the Medical Device User Fee Amendment Negotiations or MDUFA.

19 I note for the record that the nonvoting members constitute a quorum as required by 21
20 CFR Part 14. I would also like to add that the committee members participating in today's
21 meeting have received training in FDA device law and regulations. For today's agenda, the
22 committee will focus and discuss and provide advice on the FDA topic of advancing health
23 equity in medical devices. FDA CDRH is committed to working toward ensuring that all patients
24 have access to high quality, safe, and effective medical devices. This includes ensuring devices

1 are designed to be safe and effective when used by various populations, are evaluated in the
2 diverse populations for which they are intended, and that patients and consumers have the
3 information they need to make decisions about their healthcare and quality of life.

4 Technology, including digital health technology, may help bridge gaps in health equity by
5 extending access and bringing healthcare to patients at home, at work, and in their communities.

6 The recommendations provided by the committee will address considerations for FDA and
7 industry on these topics. The committee will consider ways to advance access to devices that
8 allow for care outside of a hospital or clinical care setting. For example, in the home setting, the
9 committee will also discuss considerations for improving reach and comprehension of FDA's
10 patient and caregiver communications across diverse demographic groups. Additionally, the
11 committee will discuss patient-focused considerations for when a device should be evaluated in
12 diverse populations to support marketing authorization.

13 Now I'd like to set out a few ground rules. If a panelist would like to ask a question,
14 please physically raise your hand and make use of their hand raising function on the platform. I
15 will get to your questions as we proceed throughout the day. We want to avoid multiple persons
16 from speaking over each other since this entire meeting is being transcribed for the official
17 record. Before we begin, I would like to ask our distinguished committee members and FDA
18 experts identified on the meeting roster and attending virtually to introduce themselves.
19 Committee members, please turn on your video, if you haven't already done so in monitors, and
20 unmute your microphones and I will call your name. When I do call your name, please state your
21 area of expertise, your patient and/or caregiver role as it pertains to the PEAC, your position, and
22 professional affiliation. Let's go ahead and start with Mr. Ian Burkhart.

1 **Introductions**

2 Mr. Burkhart: Hi everyone. My name is Ian Burkhart. I'm the vice president of the North
3 American spinal cord injury consortium. I specialize my research in patient engagement and
4 getting those involved in research from a role of not just a participant, but also an advisor. And I
5 myself have been living with a spinal cord injury since 2010.

6 Mr. Conway: Great. Thank you. Ms. Necie Edwards.

7 Ms. Edwards: Hi, everyone. My name is Necie Edwards. I'm the founder and organizer of Fibro
8 patient Education and Support, and I represent people with chronic pain, and I myself have
9 fibromyalgia as well as ankylosing spondylitis.

10 Mr. Conway: Thank you. Dr Rita Roy.

11 Dr. Roy: Good morning, everyone. I'm Rita Roy. I'm a general surgeon by training and
12 became a patient requiring a spinal fusion about six years ago. I am currently the CEO of the
13 National Spine Health Foundation. We are the nation's only organization dedicated to advocating
14 for patients suffering with the spectrum of spinal conditions and our mission is to provide
15 informed consent and share the good news in evidence around treatment for spine conditions.

16 Thank you.

17 Mr. Conway: Thank you. Ms. Teresa Diaz.

18 Ms. Diaz: Good morning, everyone. My name is Teresa Diaz, and I am cofounder of GPAC,
19 which is the Global Patient Advocacy Coalition. I also am a facilitator of the breast implant
20 health summit, and I also run the Breast Implant Illness Florida Support Group. And I am a
21 patient advocate that was harmed by a medical device, and my goal is to give the voice to the
22 voiceless.

23 Mr. Conway: Thank you, Ms. Gabrielle Balasa.

1 Ms. Balasa: Hello, everyone. I'm Ella Balasa and I'm a patient advocate living with a rare
2 genetic lung disease called cystic fibrosis. I am an advocate for bringing the voice of the patient
3 early into drug development and empowering individuals to be more active in their care and in
4 treatments. And I'm looking forward to today's discussion. Thank you.

5 Mr. Conway: Great. Thank you. Dr. Gwyneth Fischer.

6 Dr. Fischer: Good morning, everyone. I'm Gwen Fisher. I am a pediatric ICU physician at the
7 University of Minnesota. I also run the Pediatric Device Innovation Consortium here at the
8 university, which is part of the FDA's PDC program through the Southwest PDC. Thanks.

9 Mr. Conway: Great. Thank you. Dr. Anne Peters.

10 Dr. Peters: Hi, everyone. I'm an endocrinologist and a professor of clinical medicine at the
11 University of Southern California, where I work to reduce healthcare disparities for device use in
12 medically underserved people with diabetes.

13 Mr. Conway: Thank you. Dr. Amy Sitapati.

14 Dr. Sitapati: Hi, I'm Dr. Amy Sitapati. I'm chief of the Division of Biomedical Informatics at
15 the University of California, San Diego, a clinical professor of medicine, a primary care
16 provider, a survivor of breast cancer, and I specialize in pop health.

17 Mr. Conway: Great. Thank you very much. Mr. David White.

18 Mr. White: Good morning, everyone. My name is David White. I'm a person living with
19 kidney disease. I received a kidney transplant from a deceased donor in 2015 after 6 years of
20 dialysis, including one year of peritoneal dialysis at home. I'm a proofreader for an international
21 law firm, and I'm also a care partner for my mom. I serve on the board of directors of the Patient
22 Advocate Foundation, and my area of expertise is patient engagement.

23 Mr. Conway: Great. Thank you. Dr. Michael Wolf.

1 Dr. Wolf: Good morning. My name is Michael Wolf, and I'm a professor in the Department
2 of Medicine at Northwestern University's Feinberg School of Medicine. I also direct
3 Northwestern Center for Applied Health Research on Aging, and I'm a longtime researcher on
4 health literacy and a patient advocate for older individuals living with multiple chronic
5 conditions.

6 Mr. Conway: Great. Thank you. Dr. Stephen Wilcox.

7 Dr. Wilcox: Hi, I'm the founder and chairman of the board of Design Science, a consultancy
8 based in Philadelphia that specializes in medical devices. I'm a patient advocate in the sense that
9 I've been working on device development teams for 40 years trying to make products, medical
10 products, easy to use and safe.

11 Mr. Conway: Great. Thank you. Dr. Naveena Yanamala.

12 Dr. Yanamala: Good morning, everyone. I'm Naveena Yanamala, an associate professor of
13 Medicine and the director for Data Science and Machine Learning Research at Rutgers Robert
14 Wood Johnson Medical School. Throughout my career, I have been focusing my efforts on safety
15 and health in the context of machine learning and AI applications, preclinical and clinical
16 research, digital technologies, wearable technologies, and data-driven science. And I look
17 forward to contributing to thoughtful discussions today. Thank you.

18 Mr. Conway: Thank you very much. Dr. Jijo James.

19 Dr. James: Good morning, everyone. Jijo James. I'm the chief medical officer for MedTech
20 and external innovation at Johnson & Johnson. In this role, I lead an independent internal
21 function that primarily focuses on patient safety. I'm also the current chair of MDIC or the
22 Medical Device Innovation Consortium. That's a public private partnership between government,
23 academia, and industry to advance faster, safer, and most cost effective innovation for patient
24 benefit and advancing health equity is a key area of focus in advancing that mission. Thank you.

1 Mr. Conway: Thank you. Ms. Kathryn Capanna.

2 Ms. Capanna: Good morning, everyone. My name is Kathryn Capanna. I'm the Acting Associate
3 Office Director for FDA CDRH's Office of Strategic Partnerships and Technology Innovation, or
4 OST. OST operates the PEAC. We work in partnership with programs across the center to bring
5 topics like advancing health equity and medical devices that are of importance to patients and
6 consumers and essential to FDA's mission to protect and promote public health. Thank you all
7 for joining us today.

8 Mr. Conway: Great. Thank you. Dr. Owen Faris.

9 Dr. Faris: Good morning. I'm the principal deputy director and CDRH's office of product
10 evaluation and quality. Which is the office that oversees pre- and post-market decision making
11 for medical devices. I'm also a patient. I've had Type 1 Diabetes for coming up on 40 years.
12 Thank you.

13 Mr. Conway: Great. Thank you. Dr. Michelle Tarver.

14 Dr. Tarver: Good morning, everyone. I'm Michelle Tarver. I'm the Deputy Center Director for
15 Transformation. I have also been a caregiver and caring for a person with living with rare
16 diseases. And I'm also a healthcare provider caring for people who are living with ocular
17 inflammation. I'm very excited to hear the feedback from our panel today and look forward to the
18 discussion.

19 Mr. Conway: Thank you. Miss Alicia Witters.

20 Ms. Witters: Good morning. I'm Alicia Witters. I'm an Acting Director and permanent Deputy
21 Director for the CDRH Office of Communication and Education. And so, in that role, my office
22 is responsible for developing, disseminating, and monitoring how successful we are with a lot of
23 our public facing communications on behalf of senior age.

24 Mr. Conway: Thank you. Ms. Letise Williams, good morning.

1 Ms. Williams: My name is Letise Williams. I am the designated federal official for the PEAC.

2 Mr. Conway: Great. Thank you very much. I'd like to thank all of our—

3 Ms. Williams: Paul? Paul, I'm sorry. We have 1 more expert. Dr. Elizabeth Joniak-Grant.

4 Mr. Conway: My apologies. Go right ahead, Doc.

5 Dr. Joniak-Grant: Hi, thanks. I'm Elizabeth Joniak-Grant. I'm a sociologist and a patient-

6 lived experience collaborator at the Injury Prevention Research Center at University of North

7 Carolina in Chapel Hill. I am also a patient advocate. I represent those with migraine and chronic

8 pain. And I have personal experience with migraine disease, cervical dystonia, and chronic pain

9 as a consequence of arthritis, myofascial pain syndrome, fibromyalgia and various neuralgias.

10 Thank you.

11 Mr. Conway: Thank you very much, Doctor. And my apologies.

12 Dr. Joniak-Grant: That's all right.

13 Mr. Conway: I'd like to thank all of our panelists. And now what I'd like to do, it's 10:15 A.M.

14 And we'll proceed with welcoming remarks from FDA Commissioner, Dr. Robert Califf. Dr.

15 Califf is the 25th commissioner of the Food and Drug Administration. He also served in 2016 as

16 the 22nd commissioner and immediately prior to that as FDA deputy commissioner for medical

17 products and tobacco, he has spent a good portion of his career affiliated with Duke University,

18 where he served as a professor of medicine and vice chancellor for Clinical and Translational

19 Research, director of the Duke Translational Medicine Institute, and was the founding director of

20 the Duke Clinical Research Institute. He has a long and distinguished career as a physician,

21 researcher, and leader in the fields of science and medicine. He is nationally recognized as an

22 expert in cardiovascular medicine, health outcomes research, healthcare quality, and clinical

23 research, and a leader in the growing field of translational research, which is key to ensuring that

24 advances in science translate into medical care. I might also add as a patient community, we

1 understand Dr. Califf and know him as a strong patient advocate. Dr. Califf, go right ahead.

2 We're honored by your presence.

3 **Welcome from FDA Commissioner — Dr. Robert Califf**

4 Dr. Califf: Thanks so much, and I'm pleased to be with you today to help kick off this
5 meeting, addressing one of the most important areas to us at the FDA, how we incorporate the
6 patient voice in support of the development of new products to treat disease. I did have a chance
7 to look at your agenda for the day and this is like so many meetings that go on now, where I wish
8 I could stay and participate because I think you're in for a really interesting discussion about
9 some very important topics. And I know that throughout the meeting you'll be very engaged. And
10 of course, you'll hear shortly from Jeff Shuren, who I see on the screen, and others at CDRH
11 about their engagement in this area. And some of the important work they're doing related to the
12 issue of health equity. I thought I'd use my time this morning to briefly discuss some of the ways
13 FDA as a whole is committed to better understand and advance diverse patient perspectives,
14 preferences, and unmet needs to inform our work.

15 One of the most important aspects of our mission to protect and promote public health
16 involves a responsibility to consider, to the extent we can, the needs and characteristics of all
17 people and populations and the policies we advance, the science we support, and the workplace
18 in which we operate. And I used to the extent we can, specifically because the mission is to take
19 into account all populations, but we have to be realistic as we try to overcome our shortcomings,
20 given the complexity just represented on this panel. It's breathtaking the number of different
21 perspectives, even in one panel, and we now have a population of 340 million people that we're
22 concerned with. So, the issue of equity and public health is increasingly relevant. As many of the
23 negative health trends we see today, from shortened life expectancy to increase in chronic

1 diseases, diabetes and metabolic syndrome, heart, vascular, lung, and kidney disease, mental
2 health issues, as well as depression and suicide, drug use disorder, and gun violence, are all the
3 result in part of social determinants and inequities.

4 And I want to stress here that, at least as I look at the landscape, there's a lot to be
5 concerned about right now. In this country, we are seeing a decline in life expectancy that's
6 unprecedented really in the past 100 years. I'm getting ready to go to Singapore next week,
7 Singaporean citizens on average are living almost 7 years longer than Americans. And right now,
8 among high income countries, we're essentially in last place in terms of life expectancy. And I
9 know in this discussion, you're going to be talking about the fact that length of life is not the only
10 issue, but it's a pretty good starting marker for where we stand and the need we have to reverse
11 the trends that we're seeing with a lot of these differences expanding. And so, some of this
12 disparity reflects the differences we see in our country as a function of demographics, race,
13 ethnicity, sex, gender, wealth, and education.

14 One of the biggest impacts involves where we live, as well as to education and access to
15 health and medical expertise are increasingly urbanized people in rural settings, in general, are
16 seeing a dramatic decline in health and life expectancy. And if you just look at some recent
17 pictures, and the pictures are getting better and better, you'll see that people that live in cities and
18 university towns are faring much better over time now than people that live in rural areas. So, it's
19 increasingly important that we study and consider all these factors and prioritize them throughout
20 our scientific work, ranging from access to clinical trials to the availability and consideration of
21 the user interface and the development of medical products and devices.

22 It's an issue of special resonance as we search for responses to new diseases, like COVID,
23 in which the impact on certain segments of the population, including older adults, pregnant
24 women, children, rural people, and racial and ethnic minorities have been more severe.

1 Consequently, one especially important area for us has been to work to increase the enrollment of
2 minority women and elderly patients in clinical trials and bring in the voice of underrepresented
3 populations to the world of drug and device development.

4 Of course, the FDA can't do it alone. That's why we're engaged in multiple collaborative
5 efforts across the healthcare ecosystem. For instance, we issued a call to action for stakeholders
6 that outlines a number of steps. That could be taken to help reduce gaps in health equity. I'm just
7 going to give you a list here. These are fairly broad, but I hope it will provide some perspective
8 as you get into your discussions.

9 Point one is create a more diverse, equitable and geographically representative system of
10 evidence generation for decision-making.

11 Point two is revamp the public health information architecture to enable people to see
12 data relevant to their own communities and circumstances.

13 Point three is to close the gap in knowledge between the evidence requirement for FDA
14 approval, which can involve limited populations chosen, to develop initial evidence of a positive
15 balance of risks and benefits and the appropriate use of products and payments in the real world,
16 where the boundaries and relevant populations, are much less clear. I feel like this is an area
17 where the device world has been in the mainstream for a long time. But as we are dealing now
18 with transformative treatments for large chronic diseases, this issue of the gap between what we
19 know at the time of approval and what we need to know to optimally use medical products is
20 becoming more and more important.

21 And the next point is, we need to reduce the barriers that prevent aggregation of available
22 information in a way that supports consortia driven by patients as well as their families,
23 caretakers and clinicians while assuring that privacy and confidentiality are maintained. It's
24 pretty well known I'm a big fan of patient-driven research consortia that answer the questions

1 that are relevant to patients. But it's almost as if our information architecture is built to keep
2 groups from getting the information they really need to be most effective in accomplishing this
3 mission. We need to encourage health entities to consciously create incentives that reduce what's
4 known as suboptimization, a situation in which each segment of our system, hospitals, clinicians,
5 medical products, companies, insurance entities, now even private equity firms, is incentivized to
6 optimize its own well-being without consideration of the joint effect on the system as a whole.
7 Or the overall health of consumers and patients. Or another way to think about this is all these
8 entities, I believe are earnestly trying to be patient centered. But talk to anyone trying to get an
9 appointment in our healthcare system today or navigated and it's hard to see that the whole is
10 greater than the sum of the parts. In fact, the whole is much less than the sum of the parts. Part of
11 this overall effort that's pertinent to FDA is to improve assessment of the impact of subsystems
12 on overall health outcomes for individuals and populations, as medical products have different
13 outcomes in different healthcare ecosystems.

14 And finally, tackling misinformation and improving education about science, really
15 critical underpinning of everything that we're trying to do. Within the FDA itself, we've been
16 working collaboratively across our medical product centers to implement the diversity action
17 plan for the provisions of FDORA, our most recent user fee agreement. This will help increase
18 participation in clinical trials by diverse populations and allow the FDA to strengthen and expand
19 communications about clinical trial demographics in representative populations so that
20 consumers can make informed decisions that lead to better health outcomes.

21 Additionally, it's a mission of FDA's Office of Minority Health and Health Equity
22 specifically to promote and protect the health of diverse populations through research and
23 communication of science that addresses health disparities and advances health equity. In 2021,
24 OMHHE established the enhanced equity initiative, which has provided multiple funding

1 opportunities that have allowed us to engage with diverse researchers and scientists across
2 various organizations and academic institutions. In particular, they focus on supporting activities
3 in three areas. First, increasing equity in clinical trials by continuing our efforts to advance
4 diversity and enrollment. Second, strengthening the application of equitable data by funding
5 research that helps us increase data available on the populations we serve. And third, increasing
6 the equity of voices that focus on continuing to understand diverse patient perspectives,
7 preferences in unmet needs to inform our work.

8 Not mentioned in my bio, and by the way, I always feel a little self-conscious when it's
9 mentioned that I've done this job before. What resonates in my head is all the questions I get
10 about why on earth would you come back and do this after finding out what it's really like? And
11 the answer, of course, is because of people like you. There's a mission here that's absolutely
12 critical that, as I keep saying, we haven't gotten where we need to be, but we're going to keep
13 trying. By working both inside the FDA and with our external stakeholders across the ecosystem,
14 we're making important strides to expand health equity and better understand and advance
15 diverse patient perspectives, preferences, and unmet needs. I hope that your discussion will be
16 engaging and productive. In fact, I'm sure it will be, after hearing your introductions, and look
17 forward to continuing collaboration. Thanks again, and I hope you have a great meeting. I think
18 you'll have a better time than I'm going to have for the rest of the day, I can tell you. But I won't
19 reveal what it is that I have to do today.

20 Mr. Conway: Thank you very much, Dr. Califf. We appreciate your leadership and your interest
21 and commitment to these issues and the context that you gave to start the discussions today. So
22 thank you very much. We deeply appreciate it.

23 Dr. Califf: One thing I forgot to mention, Paul, I really do appreciate it. In the bio, it didn't
24 mention that I did work at Google for five years in between my two stints. And I just want to say

1 that's another area where a lot of improvement could be made. But what I got to see was the
2 reach of all these different factors that I just described. When applied with over ten products that
3 reach a billion people a month, and when you see the impact of having access to the information
4 on over a billion people at a time, you begin to get a sense for how diverse our world is. But also
5 how much in common we have. So, I'm really hoping that across your different backgrounds,
6 you'll pick out those common factors that will give us the threads that we need to pull on to bring
7 things together. So, sorry to go a little overtime here, but I appreciate the opportunity.

8 Mr. Conway: No, I thank you very much, Commissioner. As a matter of fact, the staff has put
9 together a set of great questions today. They're designed to get our diverse views and then bring
10 together the common points of interest, I think, for FDA going forward. And each of us are very
11 committed to this issue. So, thank you very much.

12 Now what I'd like to do is ask Letise Williams, the Designated Federal Officer for the
13 PEAC, the Patient Engagement Advisory Committee, to go ahead and make some introductory
14 remarks.

15 **Conflict of Interest Disclosure Statement & Administrative Remarks**

16 Ms. Williams: Good morning. I will now read FDA's Conflict of Interest Disclosure Statement
17 for the September 6, 2023 Patient Engagement Advisory Committee Particular Matter of General
18 Applicability. The Food and Drug Administration, FDA, is convening today's meeting of the
19 Patient Engagement Advisory Committee under the authority of the Federal Advisory Committee
20 Act, FACA, of 1972. With the exception of the industry representative, all members of this
21 committee serve as special government employees and are subject to federal conflict of interest
22 laws and regulations. The following information on the status of this committee's compliance
23 with federal ethics and conflict of interest laws covered by, but not limited to, those found at 18

1 USC 208 are being provided to participants in today's meeting and to the public. FDA has
2 determined that members and consultants of this committee are in compliance with federal ethics
3 and conflict of interest laws. Under 18 USC 208, Congress has authorized FDA to grant waivers
4 to special government employees or regular federal employees who have financial conflicts
5 when it is determined that the agency's need for a particular individual service outweighs his or
6 her potential financial conflicts of interest related to the discussions of today's meeting. Members
7 and consultants of this committee who are special government employees or regular federal
8 employees have been screened for potential financial conflicts of interest of their own. As well as
9 those imputed to them, including those of their spouses or minor children, and for purposes of 18
10 USC 208, their employers. These interests may include investments, consulting, expert, witness
11 testimony, contracts, grants, CRADAS, teaching, speaking, writing, patents and royalties, and
12 primary employment.

13 For today's agenda, the committee will discuss and make recommendations on the topic
14 advancing health equity and medical devices. FDA CDRH is committed to working towards
15 ensuring that all patients have access to high quality, safe, and effective medical devices. Based
16 on the agenda for today's meeting and all financial interests reported by the committee members
17 and consultants, a conflict of interest waiver has been issued in accordance with 18 USC 208B3
18 to Mr. Ian Burkhardt and Dr. Stephen Wilcox. Mr. Burkhardt's waiver addresses his involvement in
19 a leadership position as president of an organization that received funds from a medical device
20 firm. The funds are for general operations and consultant fees paid to Mr. Burkhardt via an
21 employment relationship with the organization. The total amount awarded to the organization is
22 between \$50,001 and \$70,000. And the amount to Mr. Burkhardt is between \$5,001 and \$15,000.
23 Dr Wilcox's waiver addresses his role as a principal and the founder of an organization, which he
24 serves as chairman of the board. The organization provides consulting contracts, projects with

1 numerous medical device firms that could be affected by the particular matter. The amount to the
2 organization for services from 2022 through 2024 is between one million dollars and \$1,300,000.
3 The waiver allows these individuals to participate fully in the committee deliberations. FDA's
4 reason for issuing the waivers are described in the waiver document, which is posted on FDA's
5 website at <http://www.FDA.gov/advisorycommittees/default.htm>. Copies of these waivers may
6 also be attained by submitting a written request to the agency's Division of Freedom of
7 Information, 5630 Fishers Lane, room 1035, Rockville, Maryland 20857.

8 Dr. Jijo James is serving as the industry representative for communication of benefit and
9 risk to patients and is acting on behalf of all related industry. He is employed by Johnson &
10 Johnson. For the record, the agency notes that Mr. Behtash Bahador, who is an invited guest
11 speaker with us today, has acknowledged that his employer provides patient engagement
12 programs, including services to medical products developers, which may include medical
13 products. We would like to remind members and consultants that if the discussions involve any
14 other products or firms not already on the agenda for which an FDA participant has a personal or
15 imputed financial interest, the participant needs to exclude themselves from such involvement,
16 and their exclusion will be noted for the record. FDA encourages all other participants to advise
17 the committee of any financial relationships they may have with any firms at issue. A copy of
18 this statement will be available for review and will be included as part of the official transcript.
19 Thank you.

20 For the duration of the Patient Engagement Advisory Committee meeting on September
21 6, 2023, Dr. Gwyneth Fischer has been appointed to serve as a temporary non-voting member.
22 For the record, Dr. Gwyneth Fischer serves as a member of the Pediatrics Advisory Committee in
23 the Office of Pediatric Therapeutics Office of the Commissioner. Dr. Fischer is a special
24 government employee who has undergone the customary conflict of interest review and has

1 reviewed the material to be considered at this meeting. The appointment was authorized by
2 Russell Fortney, Director, Advisory Committee, Oversight, and Management Staff on August 16,
3 2023.

4 Before I turn the meeting back over to Mr. Conway, I'd like to make a few additional
5 general announcements. In order to help the transcriber identify who is speaking, please be sure
6 to identify yourself each and every time that you speak. The press contact for today's meeting is
7 Audra Harrison. For the record, FDA has received two written comments. Thank you very much.
8 I will now turn the meeting over to the chair, Mr. Conway.

9 Mr. Conway: Thank you very much, Ms. Williams. Before I ask the FDA to begin their
10 remarks, I would like to provide a brief overview of how today's meeting will run. During the
11 morning, we will have presentations from FDA. Afterwards, we will take a short break. And
12 when we return, we will hear perspectives from a patient, a healthcare provider, a representative
13 of the medical device industry, and nonprofit followed by open committee discussions. After
14 open committee discussions, we will break for lunch. We will return from lunch at approximately
15 12:55 P.M. and we will continue with presentations from a clinical researcher, a health literacy
16 expert, a government researcher with community engagement expertise. This will be followed by
17 open committee discussions. Once the open committee discussions conclude, we will break for
18 approximately ten minutes.

19 When we return from break, we will proceed to the Open Public Hearing, followed by
20 open committee discussions. During this time, the committee will have an opportunity to discuss
21 the comments shared during the Open Public Hearing. Once the open committee discussion
22 concludes, we will take another ten minute break. When we return from the break, the committee
23 will proceed with committee discussion of FDA questions. Following the discussion of these
24 questions, I will give closing remarks, and we will adjourn for the day. It is now approximately

1 10:37 A.M., and we'll go ahead and proceed with remarks from Dr. Jeff Shuren, Director of the
2 Center for Devices and Radiological Health at the FDA. Dr. Shuren, you may go ahead and
3 begin your remarks, and thank you.

4 **Opening Remarks — Dr. Jeffrey Shuren**

5 Dr. Shuren: Thank you and good morning, everyone. First, I want to thank the PEAC panel
6 members for your continued service. The advisory committee is unique because its focus is to
7 bring the voice of patients into the work we do at CDRH. As a result, the rich dialogue and
8 feedback from this committee has significantly impacted the thinking direction and deliverables
9 of the Center. So, for example, last year, we heard from the PEAC that we should increase
10 transparency and awareness on medical devices and incorporate augmented reality and virtual
11 reality. In response to that feedback, CDRH has made available a public resource on these
12 devices to better show how AR/VR is being used across medical disciplines.

13 Last year, during the discussion about informed consent, when a device is used in
14 surgery, we heard from PEAC members that patients want to understand the totality of benefits
15 and risks, a surgeon's expertise with devices, and the surgeon's control over that environment.
16 PEAC members stress that physician and user training needed to be adequate for the intended
17 use, transparent, and based on the levels of risk, and the complexity of the device's use and
18 features. As a resource to aid patients and healthcare providers, last week CDRH released two
19 infographics to support patients and healthcare providers weighing the risks and benefits of
20 medical-extended reality technology to support all users in making informed healthcare
21 decisions. These infographics are available in both digital and print versions to support broad
22 access. Last week's release was in English, and we are planning a future release in Spanish.

1 Today, we turn our attention to health equity, the advancement of which is a strategic
2 priority for CDRH. The Center has set the following four objectives to advance health equity. To
3 empower people to make informed decisions regarding their healthcare, facilitate availability of
4 and access to medical technologies for all populations, reduce barriers and increase opportunities
5 for participation by diverse populations in evidence generation, and support innovation of
6 emerging and existing technologies that address health equity by changing healthcare delivery to
7 move care and wellness into the home setting.

8 Putting patients first means all patients, all people should have access to quality
9 healthcare. No one should be left behind. However, historically under-resourced populations
10 have lacked access to quality healthcare. And that gap continues to grow. Lack of access exists
11 for a variety of reasons, including the necessary treatments and diagnostics are not available to
12 the specific demographic groups. Treatments and diagnostics needed by those groups simply do
13 not exist. Sometimes we lack the evidence to know whether an existing product benefits one
14 demographic group similarly to others. And too often, diagnostics and treatments exist, but
15 barriers in our healthcare system create seemingly insurmountable obstacles. In fact, CDRH has
16 a unique role to play as compared to other parts of the FDA when it comes to access to care.

17 As you heard from Dr. Califf, the U.S. spends more money on healthcare than any other
18 high-income country. But at the same time, we have an average lower life expectancy than all
19 those other countries. And in part because too many in our country do not have access to quality
20 healthcare. A key solution is to move healthcare from brick-and-mortar healthcare facilities to the
21 home setting. Digital health technologies can help bridge the gap by bringing healthcare, as well
22 as prevention and wellness, something we as a nation do not do well, directly to people wherever
23 they are, at home, at work, at school, in cities or rural communities, what we call the home
24 setting, and can facilitate the participation of all populations in clinical trials. Digital health

1 connect technologies are that opportunity to connect people with their providers from a distance
2 to provide screening, diagnosis, management of conditions, and in some cases, treatment and
3 intervention. And this is not simply a lift and shift of care in brick-and-mortar healthcare
4 facilities into a person's home, but transforming how we deliver care, prevention, and wellness to
5 people throughout their life and assuring that the voice of patients is central in reimagining the
6 home setting so that they have the experience and outcomes they want.

7 We also see the ability for digital health technologies to transform how we study medical
8 products. Wearables and sensors give us the ability to collect data about the performance and
9 medical devices and how to optimize use. Digital health technologies can enable remote data
10 collection for decentralized clinical trials, improving the ability of individuals to participate in
11 and have access to clinical investigations. Digital health technologies can help facilitate the
12 development and use of innovative clinical endpoints and allow us to capture real-world data and
13 patient-generated health data to support medical device marketing authorization decisions.

14 The steps necessary to assure health equity cannot be done in isolation, and they will
15 require collaborative approaches. Which is why we are partnering with patients, healthcare
16 providers, industry, payers, and others to help advance solutions that promote health equity along
17 the total product life cycle of medical devices, including access to care. One such activity is our
18 participation in several collaborative communities that are working to address health inequities.
19 For example, the MedTech Color Collaborative Community on Diversity and Inclusion in
20 Medical Device Product Development and Clinical Research is focused on advancing the
21 representation of persons of color in medical device product development and clinical research.
22 This community, like others in which CDRH participates, brings together patient organizations,
23 healthcare providers, industry, researchers, payers, and others to solve shared challenges in a
24 holistic manner. Achieving health equity is vital to the health and well being of our people and

1 our nation. I can think of few other issues where understanding and including the voice of
2 patients is paramount.

3 I look forward to hearing and learning from our PEAC members and other stakeholders
4 who will speak so that we together and work to advance health equity. And now I'll turn it back
5 over to our chair, Paul Conway.

6 Mr. Conway: Thank you very much. Dr. Shuren. We appreciate it. Now it's just about 10:45
7 A.M. and we'll go ahead and proceed with FDA's presentation. I'll remind public observers at
8 this meeting that while the meeting is open for public observation, public attendees may not
9 participate except at the specific request of the chair. FDA will have 20 minutes to present. FDA
10 will provide an overview on advancing health equity and medical devices by Dr. Michelle
11 Tarver, Deputy Center Director and Chief Transformation Officer at the Center for Devices and
12 Radiological Health. Go ahead, Dr. Tarver, you can begin your presentation.

13 **FDA Perspective: Advancing Health Equity in Medical Devices — Dr. Michelle Tarver**

14 Dr. Tarver: Thank you very much, Mr. Conway, and I'd like to thank all of the PEAC
15 members for spending time with us this morning and providing expertise on these topics. So, I'd
16 like to also thank everybody who's tuning in virtually. I'm going to take a moment to discuss the
17 opportunities. We all have to advance with medical devices, but the particular focus on home use
18 devices.

19 Over the past few years, we increasingly have heard the term health equity echo in many
20 chambers, however, it's often been used interchangeably with other terms. I hope you will
21 indulge me a bit as I define some terms. And please note that I will be sharing definitions
22 throughout this talk to ensure that we all have a common understanding as we move forward in
23 our discussion. Using the definition from the Centers for Disease Control and Prevention, health

1 equity is a state in which everyone has a fair and just opportunity to attain their highest level of
2 health.

3 A related term, but not synonymous, is health disparities. It is often the metric by which
4 we measure progress towards optimal health. It is the preventable differences in the burden of
5 disease or opportunities to achieve optimal health. In the United States, conversations around
6 health equity and health disparities have revolved around factors like race, ethnicity, sex, age,
7 geography as well as other characteristics. There are many examples of disparities associated
8 with these characteristics, whether as Dr. Califf alluded to, it'd be overall life expectancy, chronic
9 health conditions, like high blood pressure or cancer or diabetes, or reproductive outcomes, like
10 infant mortality. We have often observed worse health outcomes in racial and ethnic minorities
11 and those living in rural settings. These poor healthcare outcomes are tragedies for individuals,
12 families, and society as a whole. It is often asserted that social determinants of health impact a
13 wide range of functioning and quality of life outcomes. These social determinants of health are
14 conditions in which the environment where people are born, live, work, play, worship, and age
15 affect a wide range of health, functioning, and quality of life outcomes and risks. However, these
16 variables are often difficult to measure, may impart their impacts over protracted periods of time,
17 variable exposures over time, and make interactions with each other as well as other factors that
18 can be quite challenging to measure or account for all of these effects in a clinical study that is
19 primarily designed to evaluate the safety and effectiveness of an investigation or a medical
20 product.

21 Instead, we often see researchers attempt to use other groupings, such as race, ethnicity,
22 or ancestry to often and perfectly collect information on some of these social determinants of
23 health. I want to be very clear though. Race and ethnicity are social, culturally-defined terms.
24 They are not proxies for biology or genetic anthropology. However, like other communities,

1 cultural factors do determine how people share certain genetic features and it may also impact
2 biology. But they are not synonymous, and we should not ascribe value that way. Their
3 definitions and resultant categories can vary within the United States and across the globe. So,
4 the FDA has issued final guidance documents to help define what is meant by the terms, race,
5 ethnicity, sex, and age, and has shared standard approaches to collecting this information. The
6 standardized approaches are critically important to ensure consistency and transparency when
7 communicating the results of FDA trials.

8 The guidance documents on the collection and reporting of race and ethnicity data in
9 clinical trials was informed by the Office of Management and Budget Directive 15. This guided
10 document details three steps that are critical to collecting race and ethnicity data. First, it should
11 be collected by self-report. Second, respondents should be asked their ethnicity. And third, they
12 should be allowed to check all relevant racial categories that apply to them. The Center for
13 Devices and Radiological Health issued complimentary guidance documents that highlight the
14 importance not only of collecting, but also analyzing and reporting information on race and
15 ethnicity as well as sex and age in medical device clinical studies. This information may help
16 healthcare providers and patients make informed decisions regarding their care.

17 These guidance documents have been good first steps to help address health equity.
18 Members of the clinical research enterprise actively acknowledge that certain groups are
19 underrepresented in clinical study cohorts. An underrepresented population refers to a subgroup
20 whose representation is disproportionately low relative to their numbers in the general
21 population, or the population of people living with a given disease. In an effort to encourage the
22 medical product industry to improve enrollment of these groups, FDA issued a draft guidance
23 document recommending diversity plans that proactively work towards, including
24 underrepresented racial and ethnic groups in trials. CDRH also specifically issued a draft

1 guidance on select updates for the breakthrough devices program. The draft document indicates
2 that the program may be applicable to certain medical devices that provide for more effective
3 treatment or diagnosis of life threatening or irreversibly debilitating diseases or conditions and
4 populations impacted by health or healthcare disparities. The Breakthrough Devices Program is
5 intended to provide patients and healthcare providers with timely access to medical devices by
6 speeding up development, assessment, and review for pre-market approval, 510K clearance, and
7 a novel marketing authorization. These draft guidances are not final or for implementation at this
8 time, but they do shed light on the efforts the Agency is exploring to help advance health equity.

9 Congress, as you heard from Dr. Califf, also underscore the importance of addressing
10 underrepresentation of demographic groups and clinical trials. The Food and Drug Omnibus
11 Reform Act of 2022, also called FDORA, built upon the draft guidance issued in April of 2022
12 and now requires the inclusion of diversity action plans for clinical studies across medical
13 products that includes devices, drugs and biologics. These diversity action plans will not only be
14 for racial and ethnic minorities, but will also apply for people of various ages and sex with
15 consideration of other factors. The agency will be holding a public workshop on enhancing
16 clinical study diversity on November 29th and 30th of this year, which will further discuss
17 approaches that foster inclusive trials across all medical products.

18 As you heard from Dr. Shuren, CDRH is leaning forward and taking proactive steps to
19 further advance health equity by exploring opportunities to bring care closer to where people
20 live, work, learn, and play. Technology may be a tool to help bridge that divide in healthcare
21 outcomes experienced by so many groups by helping to advance healthcare, quality of life, and
22 wellness for all. We firmly believe that no person should be left behind in healthcare. And as you
23 heard from Dr. Shuren, there's a number of different objectives associated with the strategic
24 priority, which include empowering people with the information they need to make informed

1 health decisions, facilitating the availability of and access to medical devices, reducing barriers
2 to participation in the evidence generation process, and supporting innovative approaches and
3 devices that address health disparities.

4 To most effectively achieve these objectives, it's important to consider ways to achieve
5 health equity across a total product life cycle of medical devices. Whether it is determining what
6 conditions a medical device will address and the features that might make it more accessible to
7 communities experiencing health disparities, or designing and conducting clinical studies that
8 allow for greater participation from underrepresented populations, to considering how accessible
9 the medical device will be to communities bearing a greater proportion of the disease burden,
10 and also monitoring that device's performance once it's in general use to confirm it performs as
11 expected in various demographic groups, the opportunities to proactively incorporate health
12 equity considerations abound.

13 A lack of diversity, equity, and inclusion in clinical research can undermine the principal
14 goal of improving the health of all patients, as we just alluded to. As part of our strategic priority,
15 we are committed to developing a framework for when a device should be evaluated in a diverse
16 cohort to support a marketing authorization. When data on diverse study cohorts are analyzed
17 and clinically meaningful information is clearly communicated to the public, patients and health
18 care providers can have much greater trust in their diagnoses and treatment decisions.

19 Diversity in clinical studies matter. It matters not only for supporting the generalizability
20 of study results, but research suggests that a lack of representation may have an adverse
21 economic impact on healthcare, may hinder innovation, may undermine public trust, may lead to
22 lack of effective access to effective medical devices, and can compound health disparities in
23 populations. We have highlighted 3 principles of clinical research that would be important
24 underpinnings of any framework related to health equity. The first principle is inclusivity, which

1 aligns with the concept of justice or fairness, in distributing the benefits and burdens of research
2 on participants.

3 The second principle is the need for data generalizability to the intended use population
4 for a given device. It is important that a device will work similarly for all people living with the
5 disease, and in situations where it does not, that information is clearly communicated to the users
6 of the device. This may need to take into consideration in which groups the greatest burden of
7 the disease is born, whether there are features of the device that may be impacted by certain
8 features of the user, as well as where the device will be used.

9 And lastly, timely access is important. A device that could markedly improve the lives of
10 patients cannot affect this change if it is not available as a treatment option or not accessible or
11 affordable to the populations that need it most.

12 You have heard us refer to home use device a number of times today. So I'm going to take
13 a moment again to define the term. Home use is not isolated to the traditional definition of a
14 home. Instead, it refers to any device labeled for use in an environment outside of the
15 professional health care facility. This might be a house or an apartment, a vehicle, an outdoor
16 environment, school, work, or other independent living retirement homes and other facilities, and
17 the device may be used by a patient or their family member directly, or by providing assistance
18 to those using the device.

19 The United States is experiencing dramatic shifts in where health care is delivered, and it
20 is likely that this shifting will persist and expand. With the closure of hospitals and clinics across
21 rural America and the reduction in the clinical workforce, we are facing the challenging of
22 worsening health care outcomes and under-resourced communities. Hence, moving some
23 medical devices to the home may be an opportunity to prevent this gap from widening and
24 optimally an opportunity to help close it

1 However, to effectively achieve this goal, we must consider a few important facts. The
2 first is that the home environment in general is less controlled than the healthcare setting, lacking
3 the supporting infrastructure and some of the important safeguards. The issues that are often left
4 to healthcare providers or the healthcare system to address fall on the shoulders of the person
5 using or administering the device, which would be the patient in the home setting. Hence,
6 managing repairs, maintenance and cleaning regimens are important to ensure that a medical
7 device continues to perform as intended. The environment in which the device is used can also
8 impact its performance, such as the humidity in the room, the temperature, ambient noises, how
9 clean the use area is, and the transport ability of the device.

10 It's also important to consider factors that could delay the steps to use the device, impede
11 or delay reading of the results or outputs and impact the delivery of treatments, for example,
12 interruptions and Internet service or power outages. It's also important to match the user of the
13 device to the usability of the device. Hence, ensuring the device is intended to be used in the
14 home setting, requires that it is simple to use across physical and cognitive abilities, has clear and
15 easily interpreted instructions, is supported by user friendly training and good customer support
16 services.

17 But it's also important to remember that choices may be limited and some of the features
18 may be limited when a device either moves to the home setting or was designed to be used in the
19 home setting. I want to share with you a couple of examples of what I mean by a device that is
20 used in the home setting. One of them is a medical device that is life supporting and used to
21 deliver treatment at home. And I think you've heard some of our PEAC panel members mention
22 it, which is a home hemodialysis device. These devices are streamlined versions of devices used
23 in dialysis or healthcare facilities. Dialysis is a treatment that people who are living with in stage
24 kidney disease undergo 3 to 4 times a week, and it takes a number of hours out of their day to

1 receive this treatment. Home hemodialysis offers them the opportunity to receive this treatment
2 from the comfort of their home and have more frequent episodes of dialysis.

3 But in order for this technology to be effective in the home, there were some features that
4 needed to be changed. First, the device needed to be portable. The preparation of the dialysis
5 solutions had to be streamlined, and that the packaging of the tubing sets and the hemodialyzers,
6 could not be individual components, but also that had to be preassembled. So all of these are
7 important to facilitate use in the home.

8 Devices also can be diagnostic. A very familiar example to many of us is the over the
9 counter COVID-19 test, which is commonly referred to as rapid test. The person who uses this
10 test collects the sample, performs a test, and reads their result compared to some internal control.
11 I'm not going to go into a lot of detail about these tests. It's important to know that they contain
12 detailed and accessible instructions for use, include minimal components, and have been made
13 widely available to achieve multiple impacts.

14 You heard Dr. Shuren talk about the opportunities available with digital health
15 technologies, and I want to reiterate that sentiment. They hold the promise of being an ideal
16 option for use in the home by enabling remote data collection in decentralized clinical trials, as
17 well as facilitating the monitoring of clinical care. They may also help increase diverse clinical
18 trial cohorts by increasing access for underrepresented communities, as well as facilitate access
19 to clinical care. Lastly, these technologies may help facilitate the collection of evidence to
20 support innovative clinical endpoints, as well as improve the measurement and understanding of
21 traditional ones. Accessible digital health technologies that are effectively integrated into
22 healthcare platforms may help transform the delivery of care, extending the reach of timely
23 diagnostic and clinical therapies to touch the people who need the most.

1 As you may have noted, CDRH opened a docket soliciting input from the public on the
2 ways in which FDA can increase patient access to home use medical devices to help address
3 health equity. We appreciate all those who have provided comments to the docket received to
4 date, and we will take that feedback under consideration along with recommendations we
5 received from our illustrious Advisory Committee Panel today.

6 In all of our collective efforts to advance health equity, it is critical that we clearly,
7 transparently communicate with the people living with health conditions and utilizing medical
8 devices. For the more than 190, 000 different medical devices at CDRH regulates, the Center
9 strives to provide new information concerning the benefits and risks of marketed medical
10 devices, clearly transmit information about device safety and effectiveness, including
11 unanticipated adverse events to health care providers, patients, and consumers so that they can all
12 make informed treatment and diagnostic decisions together. Much of this information is shared
13 digitally through our website, email blasts, and social media posts. We have taken additional
14 steps to provide this much needed information in multiple languages and are exploring ways to
15 make the right information available at the right time to help inform the right health decisions for
16 people living with various diseases and conditions.

17 CDRH is committed to putting all patients first, which means ensuring they have access
18 to the medical devices to improve their health and quality of life, that they have opportunities to
19 contribute to the evidence generation process, and that they have the information they need to
20 make informed health decisions with their care teams.

21 We look forward to hearing the discussion today and the recommendations from the
22 committee to help inform additional steps we can take together to ensure everyone has an equal
23 opportunity to use medical devices that help them realize their highest attainable level of health.
24 Thank you. I'll turn it back to you, Chairperson Conway.

1 Mr. Conway: Great. Thank you very much, Dr. Tarver, for your substantive and expert
2 presentation. We deeply appreciate it. We will now take a 10-minute break. Committee members,
3 please do not discuss the meeting topic during the break, amongst yourselves or with any virtual
4 member of the audience. The meeting will reconvene 11:15. At that time, we will continue with
5 presentations, hearing from a patient, health care provider, industry, and a non-profit. Thank you.

6 **Stakeholder Presentations**

7 Mr. Conway: We will now proceed with a presentation by Dr. Patrick O. Gee, Sr., a
8 peritoneal/hemodialysis patient entitled, *A Patient's Perspective, A Patient's Health Journey*.
9 Then, Dr. Roseanne Gichuru, an obstetrics and gynecology specialist, will give a healthcare
10 provider perspective entitled, *Medical Devices as Drivers of Health Equity in Women's Health in*
11 *Rural and Underserved Communities*. This will be followed by an industry perspective on
12 advancing equity and medical device innovation by Dr. Jennifer Jones-McMeans from Abbott
13 Vascular. This presentation will be followed by a nonprofit perspective on designing for the end
14 user in mind by Jennifer Goldsack from the Digital Medicine Society, DiMe. You each will have
15 10 minutes to present. You may now begin your presentations.

16 **A Patient's Health Journey — Patrick O. Gee**

17 Mr. Gee: Hello. My name is Patrick Gee, and I'm a former peritoneal dialysis, in-center
18 hemodialysis patient currently six and a half years into a kidney transplant. And today I want to
19 share not only my experience, but in general patients living with kidney disease and talk about
20 digital health and medical devices.

21 Living with kidney disease can present numerous challenges affecting a person's overall
22 health and quality of life. However, advances in medical devices have provided individuals with
23 kidney disease a range of tools to help them manage their condition, offering several benefits

1 while also presenting some barriers. This article aims to explore the advantages and challenges
2 associated with the use of medical devices in the management of kidney disease.

3 One of the primary benefits that I dealt with in dealing with medical devices is the ability
4 to monitor my condition more effectively. Devices such as blood pressure monitors, glucose
5 meters, and wearable devices can provide valuable data about one's health parameters, enabling
6 them to track and manage their kidney disease with great precision. Regular monitoring allows
7 for early detection of any changes or abnormalities, facilitating timely medical interventions and
8 preventing potential complications.

9 Another significant advantage of medical devices is the ability to improve medication
10 adherence. Individuals with kidney disease often have complex medication regimens requiring
11 them to take multiple medications at specific times throughout the day. However, it can be
12 challenging to remember and also adhere to such strenuous schedules consistently. Medical
13 devices such as organizers or medication reminder apps can help individuals stay organized and
14 ensure that they take their medications as prescribed, promoting better disease management and
15 improved health outcomes.

16 Additionally, medical devices play a crucial role in the treatment of kidney disease
17 particularly in cases of renal failure. Devices such as hemodialysis machines or peritoneal
18 dialysis equipment are essential for individuals who require regular dialysis treatments. These
19 devices effectively remove waste products and excess fluids from the body, replicating the
20 function of healthy kidney. By utilizing these medical devices, individuals with kidney disease
21 can maintain a more stable state of health and experience and improve quality of life.

22 Despite numerous benefits, the use of medical devices in managing kidney disease can
23 also present certain barriers. One of the primary obstacles is the financial burden associated with
24 acquiring and maintaining these devices. Many medical devices for kidney disease management

1 can be costly, and not all individuals have access to adequate health coverage or financial
2 resources to afford them. This financial barrier may limit the availability and utilization of these
3 devices, hindering optimal disease management.

4 Another barrier is the potential for technological complexities, or user difficulties. Some
5 medical devices may require a certain level of technical expertise or training to operate correctly.
6 Individuals with kidney disease may face challenges in understanding and effectively using these
7 devices, leading to sub-optimal results or improper utilization. Therefore, it is crucial for health
8 care providers to provide comprehensive education and support to ensure individuals can use
9 these devices correctly and derive maximum benefits from it.

10 Other obstacles to consider is, people that may live in rural areas or may lack access to
11 broadband Internet or Wi-Fi connectivity. These two can be an obstacle and a barrier to deal
12 with. Also to consider environmental challenges, that some folks may not live in very clean
13 environments, and it's not due to them not having a lack of cleaning supplies, but it just could be
14 the area. Some folks live in very violent neighborhoods. When you think about the mental duress
15 of trying to utilize these devices at home, it can certainly present not only a physical challenge,
16 but also a challenge to one's mental health.

17 In conclusion, medical devices have revolutionized the management of kidney disease,
18 offering numerous benefits to individuals with this condition. These devices enable better
19 monitoring, improved medication adherence, and essential treatment options, ultimately leading
20 to enhanced disease management and improved quality of life. However, barriers, such as
21 financial constraints and technological complexities, along with environmental barriers and also
22 some social barriers, really need to be addressed to ensure equitable access, optimal utilization of
23 these devices. By overcoming these barriers, individuals living with kidney disease can

1 experience enhanced care and improve health outcomes. Thank you so much for allowing me to
2 share my experience.

3 **Medical Devices as Drivers of Health Equity in Women's Health in Rural and Underserved**

4 **Communities — Dr. Roseanne Gichuru**

5 Dr. Gichuru: Good morning. My name is Dr. Gichuru. I am a board certified OBGYN. I focus
6 on women's health in rural and underserved areas. I will be speaking about the use of medical
7 devices as drivers of health equity in those communities. I'm going to use a case presentation to
8 illustrate on the points I am making. We have a 28-year-old. This is her first pregnancy. She is
9 seven months pregnant. She is coming into the office for her routine prenatal visit. She was
10 recently diagnosed with diabetes and high blood pressure. Based on those diagnoses, we are
11 going to need to monitor her blood sugars and her blood pressures at home for the course of the
12 pregnancy, and subsequently, postpartum.

13 To be able to monitor her blood pressures at home, she will need a blood pressure
14 monitor, as pictured below, and generally she would need to check her blood pressures about two
15 to three times a day. Those blood pressures will need to be transmitted to her care team. Now, to
16 get the device into the patient's hands, this may happen one of three ways. One, the clinician
17 writes a prescription, and the insurance company pays for it. Number two, the patient picks up
18 the device from her local pharmacy or local store. That would generally be an out-of-pocket cost.
19 Number three, there are some health systems that will make these devices available to the patient
20 for no additional cost. For patients who are not able to access these devices, there are some
21 pharmacies out in the communities that will check the blood pressure for the patient once or
22 twice a day, as needed. Patient can then transmit this information to her care team.

23 Now, once the patient has the devices, the next thing we need to do is to collect the data.
24 We can do this one of three ways. Number one, if the patient has a smartphone, she can

1 download an app that interfaces with the physician's office health help platform, and that way the
2 clinical team can see her blood pressures more often in real time. Number two, the patient can
3 document her blood pressures and upload them to the patient portal. Number three, she can
4 document the values and call them in or bring them to her next appointment, as desired.

5 With regards to diabetes, the diabetes screening device is called a glucometer. This is a
6 little bit more involved with regards to usage. Generally, the patient would need to check her
7 blood sugars about 3 to 4 times a day and document these values and get them to her clinical
8 team.

9 Now, I'm going to use a hypothetical case scenario to illustrate my points. So if this
10 particular patient was getting care through an underserved clinic, she may need to come in for a
11 one-on-one visit to be able to get her vitals and her blood sugar and blood pressure readings
12 evaluated. Number two, if this patient is a farm worker and predominant language is Haitian
13 Creole, then she would need to get this device as well. The important thing here because of the
14 language barrier is to ensure that the patient is able to, one, use the device, whether it is teaching
15 the patient through a one-on-one meeting with the office nurse or using the user manual, reading
16 the information on there, the information is best delivered translated into the Haitian Creole
17 language. If this is not possible, then putting a pictorial in the user manual may also assist the
18 patient with familiarizing and utilizing the device. The last option is for the medical device to
19 have an online link for which the patient can access the video online and be able to watch it and
20 learn how to use the device. Similarly, if she does have a smartphone, the smartphone platform
21 should be able to translate the information so that she is able to read and use the app to be able to
22 transmit this information to the clinical team.

23 The last scenario, if this pregnant patient was part of the Amish community. Now the
24 Amish do it a little bit differently, and in this specific scenario, we would need to account for

1 cultural practices as well as communication within the Amish village. So normally within the
2 Amish community, they generally have a midwife or a community caregiver, who is a care
3 provider who is able to provide care for them during the course of the pregnancy, perform the
4 delivery, as well as take care of them post-delivery. In this case, the Amish midwife would be the
5 one using the medical devices. If say, for instance, the organization or the group needed to
6 communicate a new device, communication wise, the Amish community culturally are not early
7 adopters of technology. For instance, the community that I worked with, they generally did not
8 have cell phones. What they did have was a landline, and that landline was shared by multiple
9 families in the area. If I needed to communicate something to patient in, say, family A, I would
10 call the landline and leave a voicemail for the patient in family A's voicemail, and they would
11 subsequently get back to the clinical team, on average, within 24 hours. Similarly, if we were
12 rolling out a new device, we would definitely engage the community care provider as well as
13 transmitting that information, either through a community engagement platform, say town hall,
14 or put it in the local paper.

15 Lastly, generally at the height of COVID, what we were seeing with the need for social
16 distancing, a lot of patients decide to continue social distancing, and many of them actually opted
17 to get a fetal heart monitoring device for home use. That way, when we call them, and when we
18 called for their telemed visit, they were able to use the blood pressure cuff that they already had.
19 If we were monitoring blood sugars, they would report those. But they would also use fetal heart
20 Dopplers to report to us what the baby's heart rate is.

21 So in conclusion, in using medical devices at home, it is important to keep in mind the
22 target audience. So is it pregnant patients versus just GYN patients? The age group: younger
23 patients tend to have tend to be more technologically savvy, tend to use social media more often
24 than, say, the older patients. Number three, you also need to look at the community that you're

1 targeting, the cultural practices, as well as the language barrier, if any. Thank you. I appreciate
2 your time.

3 **Advancing Equity In Medical Devices Innovation — Jennifer Jones-McMeans**

4 Dr. Jones-McMeans: Hello. My name is Jennifer Jones-McMeans. I'm the Divisional Vice
5 President of Global Clinical Affairs for Abbott's basket of business. Today I'll be speaking to you
6 on advancing health equity in medical devices, giving a clinical trial perspective. My conflict of
7 interest is that I'm an Abbott employee.

8 At Abbott, we are focused on helping people live fuller, healthier lives, maximizing their
9 potential at all ages and all stages of life. And to do this, we have a very broad product portfolio
10 that is focused on health care. And that is cardiovascular care, diabetes care, diagnostics,
11 neuromodulation, which is focused on treating chronic pain and movement disorders, nutritional
12 care, as well as generic medicines. And looking at this broad portfolio, you can only imagine that
13 when it comes to conducting clinical trials, we also have a very broad portfolio of clinical trials
14 that we conduct on a regular basis.

15 And as we've been doing this work over the past few years, we've identified that clinical
16 trials have a diversity problem, and that's why we're taking action to make research more
17 inclusive. As we conduct our trials, we have a focus point that those included in our clinical trials
18 should be representing those burdened by the disease for which we're evaluating therapy. Now,
19 clinical trials have not always been representative of the U. S. population. If we look at the left,
20 based on some census data, we see that Hispanics make up about 16 percent of the US
21 population, African Americans about 12%, and Caucasian and other about 72%. But when you
22 look at clinical trial participants, it doesn't match, where Hispanic population represents about
23 1% of clinical trials, 5% for African Americans, and 94% for Caucasian or other.

1 Now, in the last year or two, there have been some commitments and momentum to
2 improve clinical trial diversity. And we see on the left here, there's been commitment across the
3 pharmaceutical and medical device industry. And then when we look on the right, Pfizer has
4 actually reported out their metrics compared to the US census data, and they reported that more
5 than half of the Pfizer trials achieved census levels for Black, African American participants,
6 White participants, Hispanic or Latino participants, demonstrating that change is occurring and is
7 happening.

8 Now, in Abbott, we have an ideal ecosystem that we are working for clinical trials and
9 increasing diversity in health. And we look at it as a patient focused team sport where the patient
10 is the focus of the improvement of care, and the patient from diversified backgrounds is included
11 in that. And we have a commitment across industry to create equitable science, but that means
12 it's multilayered and it involves multiple individuals at that table. We are focused on training
13 doctors and nurses from all communities. We are also focused on outreach to trial participants
14 from all communities and making these trials more accessible to individuals from underserved
15 communities. But this also means that we must have strong relationships with patient advocates
16 to serve as ambassadors. And then we have to focus on the talent within healthcare settings,
17 research settings, and who are from diverse backgrounds themselves and supporting them in their
18 development in the area of clinical trial work. But in doing all this, we work with guidance from
19 government agencies, such as the Food and Drug Administration, all making this infinity circle
20 that will be continuously improved upon so that we can actually eventually continue to increase
21 the numbers of diverse populations in clinical trials.

22 Now, with this clinical trial work, we have to remember the importance of social
23 determinants of health. Who we are as humans, our biology, our presentation of disease is
24 impacted by our societal context. That means that how much money we make, where we live,

1 our education, access to education, how much we have, how much we may not have, our food
2 access, as well as our community, social context, our social support, stresses, and accessibility of
3 health care, that impacts our disease and impacts who presents with disease. So when we think
4 about diverse populations, we must integrate these elements of social determinants of health in
5 understanding how we best develop a trial that supports all patients.

6 Also, something that we must look at is critical barriers to enrollment. There are certain
7 barriers that we have to tackle, and we have to keep them in front of us and figure out how do we
8 lower these barriers to make it easier for diverse populations to participate. And this ranges from
9 everything from mistrust that may be in certain communities, a lack of comfort with the process
10 of actually accessing health care and participating in clinical trial, lack of reliable information, a
11 single source of reliable information, the time and resource constraints that can pull on a patient
12 and their family participating in the trial, and general lack of awareness.

13 And so at Abbott, what we've done is we focused on what barriers that can we remove at
14 patients accessing clinical trials. And one focus area is decentralizing trials, making it such that a
15 patient doesn't have to go always to the large academic center or large hospital center to be seen
16 for patient follow up. Now with medical devices, I will say that many of them require a
17 procedure to be done, but we can make that access to the physicians more accessible and the
18 follow up for those patients easier. We can provide transportation support, logic, lodging, and
19 meals for those longer visits or overnight stays, even bringing the clinical trial visits to the
20 patient's home such that if they don't have the social support to get them to their care provider,
21 we can bring it to them.

22 Something that's very important is that currently, we are in an information age where
23 there is so much information that it's very hard to discern what is reliable and what is not. And so
24 having patient websites, patient videos, radio ads, general educational resources for patients and

1 families about their disease, about therapeutic options, and what a clinical trial is very important.
2 And I will also lastly say translation services. Knowing that we have patients who are from
3 multiple backgrounds, different languages, providing them these services, providing the site
4 these services so that patients understand and can have a discussion about potential clinical trials
5 that may be available to them.

6 One example of this is we put such inputs in a trial called Life-BTK, which is a trial that
7 is investigating a device to treat the arteries below the knee that can be diseased. And with this,
8 we took a very strong approach to make sure that we were reaching out to the communities that
9 are impacted by this disease. And so this website provided a single source of reliable information
10 for patients and families to refer to just to find out what peripheral artery disease is, what critical
11 endoscemia is, why it would be important actually to understand what therapeutic options they
12 have and the potential of benefit of participating in clinical trial. And what was important is, as
13 we put video on and information, we needed to make it such that it was very relatable to patients
14 and their families.

15 Another step that we're taking in a trial called Breathe, which is a pulmonary embolism
16 trial that we will be looking into working with FDA on, is bringing in a patient advisory panel.
17 This would actually bring in a level of patient involvement of people who have had pulmonary
18 embolism in the past, understanding their experience and their feelings toward participating in a
19 potential clinical trial. But also creating a panel with these patients so we can discuss the clinical
20 trial, how it was designed, and get feedback from them on how the trial is potentially going to be
21 conducted, and eventually just ensuring that we can get this feedback and potentially
22 implementing it into how we make this trial more diverse and more accessible to patients.

23 Another step is not just staying with what the patients need. That is actually, again, a
24 center point. But we also have to focus on what I had spoken on before. How do we actually

1 support physicians, researchers that are developing in their career? And so Abbott has had some
2 commitments with scholarships to historically Black colleges and universities and nursing
3 associations that will help educate the next generation of diverse physicians. And also, extending
4 to outside of Abbott itself, but also to advisory boards, to get the feedback from physicians,
5 community members, on how we can best conduct our clinical trials to support diversity.

6 And lastly, once a protocol is approved, the work doesn't stop there. We work to create
7 programs to support patients, physicians on again, education, referral processes, all of those
8 touch points that will make health care more accessible in general. At the end of all this, we have
9 a future vision to future reality. Our focus is to evolve to meet the societal needs when it comes
10 to health equity, provide tools, patient tools for patients, families, and physicians to best improve
11 access to care, access to clinical trials, and with all of this, keeping patients at the center of this
12 multi-level care team that it will take to conduct this work.

13 **Designing with the End User in Mind — Jennifer Goldsack**

14 Hello, everyone, my name is Jen Goldsack, and I'm CEO here at the Digital Medicine Society, or
15 DiMe. DiMe is a global nonprofit, and our mission is to advance the ethical, effective, equitable,
16 and safe use of digital technologies to redefine health care and improve lives. With that in mind,
17 the focus of my talk this morning, as we think about designing with the end user in mind and
18 advancing health equity and medical devices, will be on digital devices in particular.

19 Though, while that's the focus, not just of my talk this morning, but of all of the work that
20 we do at DiMe, it's important to start by noting that we're not tech determinists. Success for us is
21 not shoehorning digital technologies into every nook and cranny of healthcare delivery and
22 calling it success. What we think about is the promise for these new medical devices, these
23 digitally enabled tools, that really help us address some of the most pressing and persistent
24 challenges that our health care environment has faced for decades. We need to think about

1 improving access, equity, efficiency. We need to think about outcomes, equitable outcomes. We
2 need to think about developing new products and solutions where currently a diagnosis might
3 mean that you don't have access to a lifesaving treatment or any kind of therapeutic benefit
4 because the science isn't there yet. We have to think about our ability to deliver healthcare at a
5 price that society and individuals can afford. That's what we think about when we think about
6 success in the digitization of health care. And when I think about digital medical devices, I'm
7 thinking about, how do we use these as tools to power a fundamentally different kind of health
8 care delivery.

9 Now, that's not to say that the products themselves aren't important. We have had too
10 many stories in recent years about the inequity that are associated with digital products. One of
11 the top stories that caught all of our attention during the pandemic were the disparities in
12 performance across different pulse oximeters depending on your skin tone. That's unacceptable.
13 And what we've seen in the news recently is article after article that the algorithms that we are
14 relying on for clinical decision making are not equitable. And those decisions favor people of
15 higher socioeconomic status and White Americans. This is not acceptable. We have to assume
16 that equity in the performance of digital medical products is table stakes. That's nonnegotiable.

17 And yet, if we want to think about re-imagining a healthcare system that works for
18 everyone in the digital era, we have to think not just about the products, but we have to think
19 about the problems that we're trying to solve for. I'm going to share some statistics with you. 50
20 percent of counties in the United States do not have a single mental health care provider
21 practicing in that county. 50 percent of Black Americans currently live in a county where there is
22 not a single cardiac specialist. We also need to think about another statistic. So if you are a
23 patient with cancer and you go to an NCI Center of Excellence, of which there are a few, and
24 they are very geographically remote from most Americans, your outcomes are nine times better

1 at these Centers of Excellence compared to your local cancer treatment facility. We have to not
2 only develop digital medicine devices that are in and of themselves equitable, but we have to
3 think about developing products that are actually solving some of the most pressing and
4 persistent challenges to health equity. It has to be a one-two punch. This is the opportunity of a
5 lifetime to reimagine health care as we digitize. It's not an either-or, and it's a yes-and.

6 As I talk about all of this, it's important to consider that there's not a silver bullet solution
7 in the form of a digital medical device. We are not going to be able to solve all of the
8 challenges that we face with a single product or even with a single product class. On the screen
9 now, you can see a matrix that we actually built out with our colleagues at the VA. We went
10 through and considered the different kind of digital health technologies that exist today. And just
11 a quick disclaimer, because this is important. Not all of these digital tools are medical devices,
12 but many of them are. Digital products that are enabled by artificial intelligence and machine
13 learning, augmented reality, virtual reality, mixed reality, the use of remote patient monitoring,
14 the use of digital therapeutics, the use of mobile health applications — these are digitally enabled
15 medical devices, and you can look at all of the different benefits that they confer.

16 But what's interesting is there's not a single product type that solves or addresses all of
17 the different areas where healthcare is ripe for improvement, where we can advance health equity
18 using these tools. The only way that this comes together and is a comprehensive solution is when
19 we start deploying these connected medical devices into virtual care. When we think about
20 building care around the patient and not the clinic, we think about using these tools to develop
21 culturally appropriate care, to meet the patient where they are and to deliver the care they need
22 when they need it. This is when these digital medicine devices start to get powerful. And this is
23 where we have to be thinking about health equity. It's not just about equitable and inclusive
24 product development. It's about how we deploy these new product classes, too.

1 So we've done some work through a collaborative community DATAcc, a hard one
2 acronym for the digital health measurement collaborative community that we are proud to host
3 with experts from across industry and with FDA participation. And so acknowledging that we
4 need both inclusive product development and inclusive and equitable deployment of these tools
5 in order to transform healthcare in the digital era, we went ahead and built two toolkits, both of
6 which are open access, both of which you can pick up today. So we thought about, gosh, what
7 does it mean to do inclusive digital product development? And we realized that we needed to
8 articulate the incentives for actually taking the time to build digital medical devices that work for
9 everyone. We needed to provide evidence that these approaches were reliable and trustworthy.
10 We needed to actually articulate the process of inclusive design. And in doing so, we built off
11 FDA's medical device development lifecycle, annotating it for, one, digital products and, two,
12 inclusive design at every step. And then we put together a suite of open access tools and
13 resources to support this inclusive product design. So through this toolkit, resources and best
14 practices are clearly articulated for building inclusive digital products.

15 And then we went further and did the piece that I've been trying to emphasize today,
16 which is not just about the medical device, it's how we deploy it in practice. And as part of this
17 second toolkit, we created resources for patients, participants, and communities, also for clinical
18 researchers and for practicing clinicians and health care executives to think about selecting tools
19 that were built for every person our health care system exists to serve and deploying them in a
20 thoughtful and intentional way so that they are solving the most pressing health care challenges
21 for those individuals and they do so in a way that is truly equitable.

22 So hopefully this was useful to you as you think about, why is it important to prioritize
23 equity in health care? We have the opportunity of a lifetime as we digitize health care to
24 fundamentally reimagine what it means to care for someone. When we have these digital medical

1 products, when we have connected technologies, when we can use them to overcome challenges
2 of place and access and the maldistribution of clinical experts and the patients who need them, I
3 imagine a future in which a successful health care system is defined by individual health. How
4 good are we at keeping them out of the clinic, meeting them where they are, keeping them
5 healthy? Instead of how good are we at treating their illness once they present sick at the doors of
6 the clinic, and we're evaluating ourselves by the patch up job that we can do. This is possible
7 when we use high quality, trustworthy digital tools.

8 But if we want this reimagined system to be a system that works equally well for
9 everyone, if we make sure that we are not just improving healthcare for some, but that we are
10 using the digitization of healthcare to bring everyone with us, we must design a system that
11 works for everyone. That means that we need to do inclusive, thoughtful digital medical product
12 design. And we need to think about the problems we are solving for using these tools and how
13 we deploy them for success. Thank you so much for the opportunity to present today, and I look
14 forward to the discussion.

15 **Open Committee Discussion**

16 Mr. Conway: Great, thank you very much. I'd like to thank Dr. O' Gee, Dr. Gichuru, Dr.
17 Jones-McMeans, and Ms. Goldsack, as well as the FDA for their presentations. Now we will
18 have open committee discussion, clarifying questions from the committee. As a reminder,
19 although this portion is open to public observers, public attendees may not participate, except at
20 the specific request of the committee chair. Additionally, we will request that all persons who
21 are asked to speak identify themselves each time. This helps greatly with the transcription.

22 So let us go ahead and begin. Does anyone on the committee have any clarifying
23 questions for Dr. Tarver, Dr. Gichuru, Dr. Jones-McMeans, or Ms. Goldsack? Due to a schedule
24 conflict, unfortunately, Dr. Patrick O. Gee Senior is not available for follow-up questions. So

1 for committee members, please turn on your video monitors, unmute your phone, state your
2 name when you speak, please put yourself back on mute once you're done speaking. You can
3 physically raise your hand or indicate in the chat, and I'll go ahead and I'll call on you. Let me
4 go ahead and start now with Necie Edwards.

5 Ms. Edwards: Necie Edwards. And my question is for Dr. Tarver. You mentioned earlier about
6 methods of communicating with the public. And one of the questions that I have for you is
7 about radio. Because with radio, for example, in the African American community, we typically
8 listen to a lot of radio. Not radio just for politics, radio concerning our health and overall well-
9 being. And most times that is a far greater trusted resource. Now, I used to host a live radio
10 show, and I did allow public service announcements on my show. So my question, Dr. Tarver,
11 is, has the FDA considered putting out PSAs? Maybe the FDA has, and I just simply wasn't
12 aware of it. Or have you considered going on the air with media campaigns? And not just that,
13 actually being a guest on some of these shows. Thank you.

14 Dr. Tarver: This is Michelle Tarver. Thank you very much, Ms. Edwards, for that question.
15 I'm going to ask Alicia Witters, who is leading our communication efforts at CDRH to
16 comment on that question.

17 Ms. Witters: Sure. Thanks, Michelle. Again, this is Alicia Witters. CDRH has not used radio,
18 at least not in recent history. I cannot recall a case where we've used that. I do know that some
19 other centers have used it, so some other components of FDA that regulate different products,
20 not medical devices. I think it is something that we would be interested in and would consider,
21 and I think part of what we are looking for during this call, this meeting today, is these types of
22 ideas being generated so that we can really consider some different ways of reaching out to
23 different, you know, targeted populations in particular in the future.

1 Mr. Conway: Great. Thank you very much. Any other questions here? Again, this is time for
2 clarifying questions of the preceding speakers. Go right ahead, Dr. James.

3 Dr. James: Hi, Jijo James, and thank you for the great presentations. My question is for Dr.
4 Jones-McMeans. Beyond the challenges or the differences in decentralized trials between
5 therapeutics and devices, do you see any other challenges that are specifically unique to
6 medical devices when it comes to access and equity and conducting clinical trials?

7 Dr. Jones-McMeans: Thank you. This is Dr. Jones-McMeans. I think it's a very good question.
8 With medical devices, many times we recognize that the patient may not always be aware of
9 what options that they have within medical devices. Many times they are aware of certain drugs
10 and therapeutics from that area, but medical devices, if you think traditionally, the decision by
11 which, what's potentially available for them may be more in the hands of the physician. And so,
12 having that, if you take that a step further with clinical trials, clinical trials in which they're in
13 the medical device space and the cardiovascular space, there may not be the level of awareness
14 that potentially some other therapeutic areas may have. So that is why we know we have to be
15 more intentional.

16 And number one, doing general PSA work of making awareness of the diseases that,
17 certain diseases that may require a medical device, a solution, and basically making patients
18 aware of what options that they have before we even get to the level of what a clinical trial is. I
19 think part of our responsibility is just general education around certain diseases that require
20 med devices and then educating them on potentially what is a clinical trial, including in the
21 investigation of a medical device retainer. So I think we have several layers of education to our
22 patients that we're very happy to support.

23 Mr. Conway: Thank you very much. Now what I'd like to do is go ahead over to Naveena
24 Yanamala. You have a question?

1 Ms. Yanamala: Yes, my question is to Dr. Jones again. I had a question about whether you
2 consider, when your device, to develop a device for a particular disease, do you actually look
3 into either a post market analysis where you actually look at the devices benefiting? Because
4 people come in all shapes, forms, and their pathophysiology is completely different. And do
5 you do any in silico clinical trials to understand and extend these devices to target other
6 communities, to bring in or advance health equity for devices?

7 Dr. Jones-McMeans: This is Dr. Jones-McMeans again. I feel that that is a very good question.
8 Well, I will say this. When the device, the device is under investigation, many times our
9 therapeutic device has not been evaluated in a post market setting. So it's our first pass. And so,
10 as you saw with the example, with the Life BTK trial, we had to work to be intentional,
11 understanding the disease landscape, and who's impacted by the disease, to be intentional to say
12 we've got to be as inclusive as possible and reduce barriers to ensure that we were including a
13 greater number, or I should say, a number of patients of color. I would say, as we move into the
14 post market setting, that is definitely an area of interest that we continue to expand on that,
15 because being that when we're in this investigational early clinical stage, or pivotal clinical
16 stage, we don't have all of that detail and we may need to work with FDA to continue to bridge
17 that information, so that we are continuing to understand how is the device performing in
18 specific populations.

19 Mr. Conway: Great. Great. Thank you very much. Now I'd like to go over and ask Amy
20 Sitapati, go right ahead.

21 Dr. Sitapati: Thank you. This is Dr. Amy Sitapati and my question is for Dr. Gichuru. Dr.
22 Gichuru, what are the most important considerations to inclusions of populations with variable
23 digital access, literacy, and broadband when it comes to the design, testing, and access of
24 devices?

1 Dr. Gichuru: This is Dr. Gichuru. Thank you for your question. So number one, understanding
2 the target community or the target population. Understanding the culture of the population. So
3 for instance, with the Amish population. They don't tend to be early adopters of technology, so
4 understanding how to best communicate, to get the information to them. As I had mentioned,
5 town halls go a long way. Newspaper communication goes a long way. Engaging and
6 leveraging the community caregiver or the community midwife. When you look at the
7 immigrant farm worker community, number one would be communication, any language
8 barriers. Culture, too, becomes a very big issue, or becomes something significant that needs to
9 be addressed, and encountered, addressed for.

10 And I'm sorry, one more thing, cost. Especially in the obstetric space. The pregnancy as
11 it is tends to be quite expensive. If you consider for or against insurance and insurance
12 coverage. Even for patients that have insurance coverage with the need for lab testing, imaging,
13 those costs do end up adding up. If you consider folks who do not have insurance coverage,
14 everything tends to be out of pocket. And while there are programs to help alleviate or at least
15 decrease the amount of out-of-pocket cost, cost is still an issue. The last thing I would add also
16 is technology and availability of technology. So, when you look at the rural communities, it's
17 one thing to say that yes, you have the internet access, you have the broadband. But is it
18 consistent? Do the devices need to be charged overnight? Are they updating overnight? Those
19 kinds of things definitely limit or present a formidable challenge to the application of medical
20 devices at home.

21 Mr. Conway: Thank you very much, Doctor. I would like to go over to Dr. Elizabeth Joniak-
22 Grant. You have a question.

23 Dr. Joniak-Grant: Thank you. Elizabeth Joniak-Grant. My question is for Dr. Jones-
24 McMeans and it's possible that Jennifer Goldsack may have a comment as well. I'm wondering

1 if in sort of looking at having these advisory patient panels, in terms of design, has there been
2 any consideration of looking at user testing where people are using them in real world settings?
3 So, outside of sort of trying to come up with the ideas about what might impact using a device,
4 actually seeing them use the device in a setting and what could be aspects that come into play
5 for design.

6 Dr. Jones-McMeans: This is Dr. Jones-McMeans again. Thank you, Elizabeth, for your
7 question. In the medical device areas that, currently, that I was speaking on, it would not be
8 possible because these are implantables. And so I really can't speak on whether or not any user
9 testing, because it's just, it's not possible because it requires a physician to treat.

10 Ms. Goldsack: And this is Jennifer Goldsack, happy to weigh in. It's a tremendous idea and
11 where it is feasible, and I think Dr. Jones-McMeans gave an example of where it may not be.
12 We absolutely recommend this approach. So, if we think about the digital health collaborative,
13 digital health measurement, collaborative community work that I described around inclusive
14 product development, the most important thing to stress there is that patient engagement, and
15 representative inclusive patient engagement, is not a one and done moment. That there's a
16 whole series of steps where these products are being designed that we need to be thoughtful in
17 how we engage representative groups of patients from the entire community that product can
18 potentially serve, every step of the way.

19 So, what you'll see there is there's 3 or 4 opportunities where user testing is highly
20 recommended in order to make sure there's an iterative process to develop a product that
21 ultimately works for everyone. So, I strongly agree that that's absolutely something we should
22 be doing, and that's something that we have codified and actually have supporting resources
23 already, for folks who are keen to do that and are keen to reap the benefits of developing a

1 product that does look like for everyone, which also expands their commercial market. So
2 there's certainly incentive to do those extra steps too.

3 Dr. Joniak-Grant: Thank you.

4 Mr. Conway: Great. Thanks. Dr. Gwenyth Fischer, go right ahead.

5 Dr. Fischer: Hi, this is Dr. Gwen Fischer. I have a question for Dr. Jones and Dr. Gichuru. I'm
6 curious if either or both of you has discussed with your patient panels, or with those that you're
7 working with, about alarm fatigue and the use of home monitoring devices. This is an issue
8 we've seen in pediatrics. Curious if this is a more generalizable issue in progressing home
9 devices. And if you have, whether or not you've been able to engage your patient panels on
10 addressing this issue for future design and development.

11 Dr. Jones-McMeans: This is Dr. Jones-McMeans. We have not, in the patient panels that we
12 have been working on for the therapeutics, we have not been able to, we do not have those
13 discussions because, as noted, many of the devices are implantables which they would not be
14 associated with an alarm.

15 Dr. Gichuru: This is Dr. Gichuru. From an OB perspective, no current concern for alarm
16 fatigue, in part because the conversations that we have in patients, and I'm specifically
17 addressing the obstetric patient here, is we have blood pressure cutoff. So if your blood
18 pressure is above a certain amount, you need to give the office a call immediately. So we have
19 the conversations with regards to what ranges are normal, and what ranges you should be
20 calling your physician or your clinical team for. From a GYN perspective, it's the same
21 approach, it's a conversation. I'm thinking more specifically with home devices, with regards to
22 pelvic floor muscles and some of the devices that are out there to help strengthen the pelvic
23 floor. Alarms are not an issue there.

24 Mr. Conway: Great. Thank you very much, Doctor. Dr. Stephen Wilcox, go right ahead.

1 Dr. Wilcox: Thanks. So, most of the emphasis of the talks was on clinical trials. But
2 wouldn't you agree that these same issues obtained for the other things that have to go on in
3 parallel with the clinical trials typically, which is the creation of a design history file, which
4 includes various procedures that one engages in, to make sure the device is as error free as it
5 can be made and as usable. As it affects safety and access. One comment I want to make too,
6 about Elizabeth's question, about real world testing. But there are a couple of problems with
7 that. One is that it, that they're usually not approved for real world testing. But the other
8 problem is, to find an error you have to know what the person was intending to do. And so
9 that's why simulated environments are typically used to serve that purpose.

10 Mr. Conway: So, would anybody like to comment on that before we go to the next question?
11 Any of our guest speakers? I'll come back to a point on that, Dr. Wilcox, when other folks have
12 asked their questions. I'll go to Dr. Elizabeth Grant.

13 Dr. Joniak-Grant: Should I hold my comment on that until later, or?

14 Mr. Conway: No.

15 Dr. Joniak-Grant: I will just leave it. Okay. I guess, my comment would be, is for looking
16 at users in the real-world environment. I think this could still be part of the clinical trial,
17 especially as we decentralize clinical trials. And, sometimes observing them, you can have
18 individuals who can ask the user in these situations, thankfully, what were you intending to do?
19 What were you trying to do? It sort of reminds me of user testing that they do with video games
20 to discover bugs and those types of things. And so I think there would be some ways that we
21 could incorporate these types of things, in clinical trial design and evaluation.

22 Mr. Conway: Great, thank you very much. I'm going over to Dave White. Go right ahead,
23 Dave.

1 Mr. White: Thank you. This is Dave White. I have a question for all the presenters, and it's
2 regarding a word that I saw on Dr. Jones-McMean's presentation regarding some barriers that
3 need to be overcome, and the word was mistrust. For some reason, this just struck me when I
4 saw the word today, and it occurs to me that I have a problem with the word because it can be
5 construed to, by some people, to maybe the affected community is at fault. You know, they're
6 mistrustful, where that isn't the case, and I was wondering what the presenters think about sort
7 of evaluating that word and replacing it with something along the lines of loss of trust.

8 Dr. Jones-McMeans: If I may, this is Dr. Jennifer Jones-McMeans. Thank you, Dave. I think
9 that is an excellent comment that you're making. So, let me make sure I clarify that at no time is
10 there an expectation or a thought that the mistrust is actually being put on the patient population
11 as being wrong. That is absolutely not it. I think if I can clarify more, is that we know that there
12 have been generations of medical inequities that have occurred. And I think societal happenings
13 (phonetic) has occurred that has created a level of mistrust when it comes to health care in
14 general. This does not mean that it is the fault of the patient population at all. If anything, we
15 put it out there as something that we actually have to improve. Creating not just the access, but
16 a trustworthy system that individuals feel invited and welcomed, and believe as though, as an
17 industry, I am presenting a clinical trial that they can actually believe that I'm good, that, you
18 know, of course through code of conducts and whatnot I will always form and do things for the
19 best patient. But that there's a real, appreciated and genuine trust that, as an industry, we are
20 presenting. And so I think that you bring up an excellent point. It's never being put on the
21 individual, but rather, how can I do better, as an industry? How can we provide better health
22 care and potentially clinical trial outreach to patients?

23 Mr. Conway: Great. Thank you very much. Is there anyone else that would like to comment
24 on Dave White's question?

1 Ms. Goldsack: This is Jennifer Goldsack. I'd love to. I think, Dave, your question is really,
2 really important. I think trust is incredibly fragile. First of all, we have to earn it and then we
3 have to maintain it. And so, I think not only do we need to think about trust in the kinds of
4 products that we develop and how we use them, I also think that we need to talk about value.
5 And I'll give you an example that may sound silly, but it's one that works for me.

6 I don't particularly trust my bank. I don't particularly trust how they use my data, but I
7 use a banking app every day because it solves a big problem for me. It gives me much more
8 convenience. It gives me much better access. And it's pretty usable. And so I think there are two
9 pieces. There's the trust element, which is absolutely necessary, critical, and we have to do the
10 work to earn it. I also think that part of this, and this gets back to the language issues, we talk
11 about things like mistrust. Sometimes you also hear words like adherence or compliance.
12 Again, like someone's doing something wrong, which I don't find to be helpful.

13 If we are building high value solutions in a way where we've actually tested them with
14 the individuals we want to use them, we don't have to measure things like adherence and
15 compliance, because the value is there and the trust is there. No one has to tell me. I don't need
16 metrics or a monitor to tell me how much to use my smartphone. I know I use it too much. And
17 why do I? Because it's really useful and it solves a lot of problems for me. And so I think we
18 have to think not only about trust and thoughtful earning of trust and the language we use, but
19 also value to the individuals that these products are here to serve. We can't try and impose them
20 upon people. We have to earn trust and we have to deliver value.

21 Mr. Conway: Great. Thank you very much. Let me go over to Dr. Gichuru. Do you want to
22 comment on Mr. White's question? Go right ahead.

23 Dr. Gichuru: I do. This is Dr. Gichuru. As a physician who is working in rural and
24 underserved communities, and I will approach this from a humanity perspective. I have found

1 that as the outsider going into these communities, the most important thing is humility and
2 appreciating the culture of the community within which I am going in to serve. Now, it's as
3 simple as the patient comes in, you have a conversation. In that visit, the patient has determined
4 are you are they going to be able to trust you, and if we're able to establish or at least begin
5 creating that rapport in the initial visit, if six visits down the line, I'm saying, hey you now have
6 diabetes, you now have high blood pressure, there's this device that can help monitor this
7 condition, that you may need to use. That, I have learned, goes a longer way.

8 So, meeting the patient, meeting the community, and approaching them from a place of
9 humility as the outsider. A willingness to learn and appreciate the culture and their viewpoints.
10 And it's a very nuanced approach, and it's a very nuanced perspective. Some label it as the art
11 of practicing medicine. That has probably contributed to my success as a rural physician, than
12 any other thing, with regards to creating and establishing and enhancing patient relationships,
13 physician-patient relationships. Thank you.

14 Mr. Conway: Great. Thank you very much, doctor. And Dr. Tarver, did you want to add a
15 comment also?

16 Dr. Tarver: I just wanted to add, I think, Mr. White, that's a really important question and an
17 important comment. I think you've alluded to the importance of trusted relationships, and I
18 think you've heard a number of the speakers talk about the importance of creating trust, but also
19 acknowledging individual violations of that trust. And so it's not always just at the community
20 level. It can be very much at the local level. And as we are looking to bring patients more
21 effectively into the clinical trial enterprise, as well as into the care paradigms, it's important that
22 we look at communities, not as just communities, but also as individuals and what their
23 personal experience may be, with fostering trust with the health care system. So, I agree. Labels
24 and language matter, and how we talk about it will help to bridge those gaps.

1 Mr. Conway: Great, thank you, Dr. Tarver. Dr. Joniak-Grant, I have a couple of folks that are
2 before you. Did you want to comment on Dave White's question or did you have another one?

3 Dr. Joniak-Grant: I have a separate question, thank you.

4 Mr. Conway: If you can hold on one second, I appreciate it. Thank you. Let me go to Naveena
5 Yanamala, you have a question.

6 Ms. Yanamala: I am Naveena Yanamala. I have a question for Jennifer Goldsack and also the
7 panel here, about patient engagement and communication strategies. So, are there any insights
8 or data on how well current communication approaches resonate with individuals from various
9 demographic backgrounds? And a continuing question is, have we identified any disparities in
10 reaching different segments of the population? If so, what strategies or adaptations have been
11 considered or implemented to ensure inclusivity and accessibility to information?

12 Mr. Conway: And just for clarification, Naveena, who do you want to have comment on that
13 off the bat? One of the speakers? Or are you also asking for other committee members to
14 comment?

15 Ms. Yanamala: So first the speaker, Dr. Goldsack.

16 Dr. Goldsack: Thanks Naveena, great question. This is Jennifer Goldsack. So, two big
17 questions there. I'll try and answer them completely, but briefly. The first one, I'm not familiar
18 off the cuff with data in support of using these tools and the most successful methods of
19 interacting with different patients. However, we do have, on the DATAcc website, the digital
20 health measurement collaborative community website, a whole catalog of evidence, which you
21 can search, and if it exists, it is comprehensive, we scrape everything, we keep it up to date. It
22 is there.

23 I am going to give you an anecdote though, and I'm going to talk about a physician
24 friend of mine who works at a local hospital to me, Moffitt Cancer Center here in Tampa. And

1 he was reflecting on his experience caring for individuals with cancer during the pandemic, and
2 how easy it was to access the appropriate translator at the time in which it was needed. That,
3 instead of having to pick up the phone and wait for someone who might be on the other side of
4 campus to come to a patient's room, or to come to a clinic, that they could immediately get on
5 with a virtual interpreter to be able to have a meaningful conversation with the patient without
6 forcing delays because of a language barrier and making it more burdensome for patients who
7 don't speak English.

8 It's also interesting to think about, what are some of the features of the technology
9 industry that are so amenable to driving and improving equity in health care. It's this notion that
10 technologies by definition, in sort of every other industry, are what we would describe as really
11 modular. We can switch things out very easily. It's all layers. It's all stacks. So we can think
12 about, what is culturally appropriate language? What are examples? What are references that
13 we may choose to really personalize? And, you know, when we personalize medicine well, by
14 definition, it is equitable. So how can we use some of the key features of the sort of digital era
15 to actually create communications capabilities, that's what we should be looking for in the sort
16 of user testing that we were just discussing and we can constantly get feedback. We can
17 constantly improve. This should also be features that we build in, and it's more than possible at
18 very, very low cost in the digital era. And Naveena, I'm going to need you to remind me of the
19 second question. I'm so sorry.

20 Ms. Yanamala: So, are there any identified disparities in reaching different segments of the
21 population?

22 Dr. Goldsack: Many, unfortunately. And, you know, they've been discussed today. We can, you
23 know, there are many different features of a digital divide. Whether it's sort of tech literacy,
24 whether that's, you know, connectivity, there are all sorts of issues there. If I'm going to stand

1 on my high horse, and someone's given me the opportunity, so I will, I think we need to think
2 differently. We need to think about how terrible the disparities are, in terms of health and health
3 care today. And we can focus on, gosh, what are the problems, around sort of the digital divide?
4 Maybe we shouldn't use these tools.

5 I'm not dismissing the fact that we need to overcome those challenges, but let me give
6 you another example that I think is more powerful. There are definitely occasions, whether it's
7 within clinical trials or within routine clinical care, that a patient who perhaps doesn't speak
8 English as their first language comes into a visit room, and they might be a great candidate for
9 a clinical trial, or they might be a great candidate for a new medical product that could really
10 improve their lives or even save their lives. But that physician, who's working 12-minute
11 centers for nine hours a day, might make an assumption, not because they're doing anything
12 wrong, but because they're exhausted, that that individual, that treatment protocol is going to be
13 too challenging. There's no way they'd be able to participate in that trial.

14 Instead, we can use things like clinical decision support systems. We can use all
15 different sorts of prompts, nudges, data-driven approaches to actually tee up for success a
16 conversation that wouldn't have happened otherwise. As a reminder to the clinician, that this
17 person might be eligible for a course of treatment or a trial opportunity that they wouldn't have
18 thought about before. And then to provide resources for both the clinician and the patient to
19 make that happen. I'm much more excited to talk about those kinds of opportunities for
20 technologies, and rather than nibble around the edges and say, oh gosh, you know, should we,
21 shouldn't we? One, let's design products and technologies and let's insist that they're inclusive
22 and we have the infrastructure to roll it out. And then let's think about solving the big
23 challenges with these tools. So again, I'd refer you to that, a library of different, sort of
24 evidentiary frameworks and publications that we have on the DATAcc website.

1 But, as the PEAC, I really want to ask you, if I may be so bold, not to think about the
2 limitations of technology **vis-à-vis** inclusion and disparities in equity, but really actually think
3 about how can we use these tools in ways we've never been able to care for people before, to
4 include more people and to do so in an equitable way.

5 Mr. Conway: Great. Thank you very much. We just have a couple of minutes left before the
6 break. So let me go to Necie Edwards. Thank you for your patience, Necie. And after that, we'll
7 go to Dr. Elizabeth Joniak-Grant. Go right ahead, Necie.

8 Ms. Edwards: Thank you, Mr. Chairman. My name is Necie Edwards, and my question is for
9 Dr. Jones-McMeans. My question for you is, when I think about clinical trials, many people
10 also think about the elephant in the clinical trials in our community, African American
11 community. You're thinking about Henrietta Lacks, they're thinking about Tuskegee. I saw the
12 same thing that happened when it came down to COVID, where many people were afraid to get
13 the vaccine, people of color, for fear that they were being tested on again. So my question for
14 you is, what are some of the things Abbott has done to address some of these concerns? Have
15 you run across this in any of the trials, getting people to sign up?

16 Dr. Jones-McMeans: Thank you, Necie. This is Dr. Jennifer Jones. Great question. So, on one
17 of my slides that I didn't mention is that some of the tools that we use. One of them, I will say
18 that we had to, that I feel that has become a very necessary approach that we will take, continue
19 to take, is reliable information. So I think I heard you, earlier on, speak about radio media. So
20 one of the biggest, so we took a large campaign focus on radio media. In which we did reach
21 out with one of our programs on many of the radio shows as well as the levels of media and
22 engagement, because we do know that, as you said, the elephant in the room is that there are
23 areas, there are communities, me also being from the African American communities, where
24 there is this historical context. So, how is it that we are going to take forth to, number one,

Commented [OC1]: what is this trying to say?

Commented [NM2R1]: @Olele, Chinyelum
<https://www.merriam-webster.com/dictionary/vis-%C3%A0-vis>

1 educate on the disease, but also just show a level of transparency and, you know, why a clinical
2 trial is something to be considered. Why is it, why is a clinical trial considered, you know, a
3 safe? We see it as almost as a care for where you're going to really get excellent care.

4 And so, our approaches to this were doing the website, making the website very
5 relatable by patients. Putting on, actually on the website itself, we actually have videos that
6 from physicians that talk about the importance of the treatment options, the importance of
7 clinical trial participation. Radio shows, we're able to do radio interviews where we were
8 interviewed by the community on the disease itself, the importance of understanding the
9 disease, the importance of clinical trial work. So, taking these different approaches by which
10 we can be participating with communities and be seen as a part of it, I think it is like a first step
11 in order to go back to what Dave had asked about the whole thing. The whole level of the word
12 mistrust. You know, I think if we spin it around and just say, creating just a trusting
13 environment. It is, again, it is our work to do that. I feel like it's industry. It is our work to create
14 environments doing it, and using different ways of communicating patients being part of it, will
15 be important.

16 And I'll give you one example, in one of the trials that we did use media website. One of
17 the physicians came back to us and said, you know, what you gave is different ways of
18 communicating where if the patient came in, and let's say they were able to get a brochure that
19 talk to them about clinical trials, maybe they would actually say, hey I'm willing to be in the
20 trial. But then they go home and they go to their family, and their family's saying, I'm not
21 feeling good about this. Like, why would you want to be in a clinical trial? We also had a
22 different layer of which the family could access information together. So, they could access the
23 website, they could access the videos. They could maybe even hear us on the radio. So, having

1 this tiered approach of helping families feel comfortable with the information, even outside of
2 the doctor's office, is something that we aim to do.

3 Ms. Edwards: Thank you.

4 Mr. Conway: Thank you very much. And let me ask Dr. Joniak-Grant. Do you have a question
5 now or do you want to hold that so we can go ahead with the schedule?

6 Dr. Joniak-Grant: I can go ahead and hold it until the committee discussion, that would be
7 fine.

8 Mr. Conway: Okay, great, thank you very much. At this time, we'll go ahead and take a break
9 for lunch. Committee members please do not discuss the meeting topic during the break
10 amongst, yourselves or with any virtual member of the audience. The meeting will reconvene at
11 one o'clock PM. At that time, we will continue with presentations, hearing from a clinical
12 researcher, a health literacy expert, and a government researcher with community engagement
13 experience. Again, we'll reconvene at one o'clock PM. Thank you.

14 **Stakeholder Presentations (Continued)**

15 Mr. Conway: It's now one o'clock and I'd like to welcome everyone back from lunch. We will
16 now proceed with a presentation about a clinical researcher's perspective on understanding
17 health equity in clinical trials, by Tesheia Johnson, from Yale School of Medicine, followed by
18 a health literacy expert perspective on advancing health equity and medical devices, health
19 literacy, and community outreach, from Behtash Bahador from the Center for Information and
20 Study on Clinical Research Participation, CISCRP. You each have 10 minutes for your
21 presentations. You may now begin your presentations.

1 **Understanding Health Equity in Clinical Trials — Tesheia Johnson**

2 Ms. Johnson: Hello, my name is Tesheia Johnson. I'm the Director of Clinical Research at the
3 Yale School of Medicine, and I am delighted to be here today to talk to you about our
4 experience in addressing health equity issues in clinical trials. I don't have any relevant
5 financial relationships to disclose. This is just a high-level overview of who we are.

6 We're a very large health system site that, the map of Connecticut here shows you. Our
7 coverage area, we're a multi hospital site. But another important aspect is all of the blue dots
8 represent our community-based clinics, which essentially are our practice sites. There over 300
9 of them across the state, but also integrated are deeply rooted in what we do in our community
10 relationships. And you can see some of those represented by the church steeples that are across
11 the state. And this is our clinical catchment area, but also what we consider to be our clinical
12 research hub.

13 This is just a high-level representation of the demographics of who we see in our
14 clinical practice. And what you'll notice here is that the demographics of our clinical catchment
15 area greatly mimic the demographics of the US. And also, unfortunately, the disproportionate
16 number of minorities, underrepresented minorities, who were impacted by COVID, which is
17 also pretty representative of what happened in the U.S. And so we very much see ourselves as a
18 microcosm of the U.S.

19 The way we think about clinical trials is in this very integrated approach. And so we
20 message the fact that clinical research and clinical trials are part of how we see providing the
21 best care, ensuring that the clinical research and clinical research participation are presented as
22 options within the context of care. And we do this in a very deeply rooted community engaged
23 approach that's bidirectional and partnered with the community. And so a lot of this is around

1 messaging specifically developed by the community leaders. And you see this, represented
2 here, on the screen.

3 Really importantly, this bi-directional partnership we're moving into year 13. So this has
4 been a long-standing partnership, and this was actually the celebration that we had at Yale with
5 the FDA Office of Minority Health and Health Equity around our clinical trials diversity
6 innovation day for our 10th anniversary a few years ago, prior to COVID. Really important and
7 key to the community programs and how we think about clinical research is that our program,
8 which is a partnership with the AME Zion churches, African Methodist Episcopal Zion
9 churches of Connecticut, and several community-based organizations, including Junta for
10 Progressive Action, which is primarily Latino facing, is our program leaders are actually
11 selected by the community. So we're not assuming who has a sphere of trust.

12 The community leaders are letting us know that our commitment to the partnerships are
13 not trial or molecule specific. They are actually commitments to the program itself and to the
14 organizations. We have compensation for the time and effort that our partners spend with us,
15 that they also help us set our strategic priorities. And really key to this is that everyone who
16 participates in the partnership has significant training in clinical research. Many of the
17 individuals you see here pictured, who are a part of our initial program focus, and they have
18 over 300 hours of training. Also really important is they help us to think about each trial that
19 we're bringing on board, not only how we might optimize specific work of the clinical trial, but
20 they help us think about patient burden and uptake issues. They also help us make sure that our
21 trials are accessible. So, much of our activity, when possible, is done in a decentralized way and
22 could be done within the context of their organizations.

23 Really importantly, in some specific examples, was in 2020, our community leaders
24 became very interested in heart disease and stroke prevention. And so, a very concrete example

1 relevant to this meeting was they became aware of a cardiac device that really had potential,
2 had shown potential in trials to reduce stroke risk, but felt that the cardiologists indicated the
3 community really didn't understand when this device was recommended. And so the
4 community leaders implemented a campaign. These are, what you see here, are some of their
5 live radio shows where they were talking about cardiovascular risks, but also the importance of
6 understanding this device and some of the risks associated with it. So really, kind of making the
7 FDA labeling instructions translated into some culturally appropriate language to improve the
8 uptake and understanding of the benefits of this device.

9 You can see, and you'll be getting a copy of my slide, some links where you can hear
10 more about some of these activities of our community leaders. But really importantly, this has
11 been an incredibly successful partnership, leading us to tremendous growth within the context
12 of our clinical research, but also, when we started this partnership more than a decade ago, our
13 representation and clinical trials of underrepresented individuals or persons of color was around
14 2 percent. Across our entire trial portfolio, it's closer to 35 percent, but where our cultural
15 ambassadors are specifically engaged, it's around 61 percent. And that's an average that has
16 been over multiple years. And also the retention rates are just incredible, from 97 to 99 percent
17 retention to through the end of the trial.

18 We feel like a key part of some of these successes has also been our partnership and our
19 MOU with the FDA Office of Minority Health and Health Equity. What you see here are
20 essentially the terms of the MOU and some of our accomplishments over the years. We also
21 have recently been awarded a grant from the Office of Minority Health and Health Equity,
22 where we've attempted to really understand some cultural and linguistic, and methods of
23 communications utilizing some of our technology. And so, this has been a really important way
24 that we've looked at clinical research.

1 We also, to the point of decentralized trials and bringing trials closer to the community,
2 we have a new partnership funded by pharma, that's a part of, where we're partnered with
3 Morehouse Vanderbilt and the RCMI institutions to initiate clinical research in the community.
4 And we're really excited about that as well.

5 I just wanted to end on some important points that are really relevant and key to this
6 meeting. Our partnerships with the community have led us to this incredible success, but
7 knowledge alone is not power. We need to make sure that community members actually
8 understand the importance of regulation and the meaning of regulation. We feel like that's been
9 an important component to how we build trust, that it's taken these long-term and sustained
10 investments. I've included here a few of the questions that were key to our partnership in
11 addressing.

12 Also, I wanted to leave this group specifically with a few points related to our FDA
13 partnerships and how we think about some of these activities. Decentralized trials, we feel like,
14 has tremendous potential to bring clinical research closer to the community. However, it's not a
15 magic bullet. We need to make sure that we consider patient burden and we're not just
16 transferring tasks from the clinical research sites to the patient home. Multiple technology
17 solutions often add tremendous patient burden and expense, and also add to lack of satisfaction
18 with trial participations. And our partnership with the FDA has been really meaningful and we
19 feel like tremendously has enhanced the trust of our community locally, not only in the FDA
20 review process, but, as you can see from our example, address some issues that are post
21 approval, related to uptake. With that, I'd like to stop. When you receive my slides, you'll have
22 some additional content that could give you more information on our program and our success.
23 And again, thank you for the opportunity to speak to you today.

1 **Advancing Health Equity in Medical Devices: Health Literacy and Community Outreach**

2 **— Behtash Bahador**

3 Mr. Bahador: Hello, everyone. My name is Behtash Bahador, and I'm the Director of Health
4 Literacy at CISCRP. I'm so happy to be here speaking with you about advancing health equity
5 in medical devices. CISCRP stands for the Center for Information and Study on Clinical
6 Research Participation. We are an independent nonprofit organization, and since 2003, we have
7 engaged patients and the public as partners in clinical research. We collaborate with a number
8 of different stakeholder groups, from foundations and associations to industry, including
9 pharma and biotech, as well as academic institutions and government agencies. Our work is
10 really centered around collaborating with patients and the public to understand their needs, and
11 then working with researchers and other stakeholder groups to help meet those needs
12 throughout the process of clinical research. Of course, being the Director of Health Literacy, I
13 like to look at health equity through the lens of health literacy.

14 A lot of folks think that health literacy is just restricted to understanding information.
15 However, when you look at the definition, you see that it's actually the ability to find,
16 understand, and use information and services to inform health-related decisions. Healthy
17 People 2030 added to the definition of health literacy to also place the onus to support health
18 literacy on organizations. And so, organizations equitably enabling individuals to do the
19 finding, understanding, and using of information and services becomes a critical component of
20 health equity in research and in product development.

21 As we know, equity and equality are not the same thing, where equity is, again, about
22 understanding and meeting the needs of those individuals and populations who most need to
23 have their needs met. Historically, you may have seen images such as this to represent equality
24 versus equity, but we've come to understand that even these are problematic, showing only

1 individuals of color standing behind dilapidated fences on wooden crates hundreds of yards
2 from a baseball game, rather than being a part of the baseball game and not standing on wooden
3 crates. Therefore, there are much better and more up to date images that we can use to
4 represent the difference between equality and equity.

5 A key component of equity and health literacy is, as I mentioned, first having awareness
6 of what are the needs of this population, learning and understanding their attitudes and values.
7 Next is the part that most folks think about, cultural competency, where we match the
8 audience's logic, language, and experiences in our communications. However, a third and really
9 important component of this is humility. Everybody, from researchers to any other folks that
10 work in clinical research or have an impact in clinical research, taking the time to examine their
11 own attitudes and beliefs. This is not just about explicit bias, but it's also about implicit bias,
12 and there are tests that can be done that are out there free of charge. And if you do engage with
13 those tests, I encourage you to take the results in, take some time to set them aside, and then
14 come back, reflect again, and think about how you might think about things differently, come
15 up with solutions in a different way, that maybe perhaps put aside some implicit biases that we
16 are all prone to hold.

17 At CISCRRP, we've taken all of those practices and concepts that I've just mentioned, and
18 we've put it into play, particularly in the co-development of our educational materials,
19 especially our brochures. These brochures are intended for various different populations that
20 have been underrepresented in clinical research. Racial and ethnic groups, as well as age
21 groups, such as pediatric populations. How we did this work was to make sure that we have
22 individuals on the team, we brought in community members, individuals that are subject matter
23 experts in their own lived experience, and then other subject matter experts who have worked
24 with those communities, to help us in the initial development of the content. Then we took it to

1 an anonymous survey to get feedback from 500 members of those communities. This allowed
2 us to ensure that the materials are culturally relevant and competent, but also that we are indeed
3 addressing the key concerns and barriers to participating in research and also helping to truly
4 guide decision making. Not just providing information, but providing the steps that folks may
5 need to take in order to engage with clinical research.

6 Through all of our research at CISCRRP, we found a number of different barriers to
7 diversity. A lot of this stems from mistrust. Folks do not want to participate in research because
8 of particularly the past injustices that have created fear of harm and unethical treatment in
9 research. However, we know that it's not just those past injustices, but the current injustices that
10 folks face on an everyday basis. That may be in clinical research, but it's more so in their
11 regular care, in their daily care, in how they're treated at various different institutions. And
12 whether they are provided access and coverage for products, medical products, and treatments
13 that they may need. The research community not acknowledging the past or current injustices
14 that folks face has been an important focus for us, to change that, and we have done so.

15 Accessing information, as I mentioned in the definition of health literacy earlier,
16 continues to be an important barrier to having more diversity in research and product
17 development. And so, we need to think about the use of technology. And of course, this also
18 extends to devices. Not everybody has access to internet. Not everybody is able to use devices,
19 and taking these considerations into account can help us not only to have better participation in
20 trials, but also to ensure that products that are developed, including devices, are actually more
21 equitable for all of the populations that need to use them. All of this information, by the way,
22 will be provided to you in slides, and there are additional slides that provide some more details
23 and more insights in the appendix.

1 As we come to a close here, I do want to share some insights from CISCRP, a biannual
2 survey that we do. We conduct this in order to gain the insights about the perceptions and
3 experiences that members of the public, past trial participants, and patients in general have,
4 about research and research participation. In our most recent survey, from two years ago,
5 although we have a new one coming out, you can see that respondents said they're comfortable
6 with providing access to the medical records. They're comfortable with completing parts of
7 research studies in their home. Using personal computers and even conducting simple medical
8 procedures, again using devices. However, we did observe that there are some differences in
9 these preferences along racial and ethnic groups. And so these are the types of things that really
10 help us to understand what actions we need to take to ensure there is equitable access to
11 research, first of all, and then equitable enablement and efficacy of devices for these various
12 different populations.

13 Taking a step back and thinking about health literacy across the life cycle of clinical
14 research. How can we provide information and services across the life cycle of clinical research
15 in order to help folks from before the time they get into a study, to when they are in the study,
16 to after? There's a number of different ways that we do this. I'm not going to go through each
17 one of these, but it is important to consider that these are all connected. And that starting early,
18 having an understanding of the various different needs of populations, providing the
19 information, not only to those individuals and communities, but to researchers, so that they can
20 then implement across the life cycle of research. For us, general education and awareness
21 continues to be an important part of our work. We want to enhance the public's awareness and
22 literacy of clinical research, the importance of participating in clinical research, and changing
23 the perceptions of individuals who do.

1 And finally, highlighting the importance of diversity, equity and inclusion in research.
2 And this also includes not just communicating with patients in the public about DEI, but also
3 making sure that researchers themselves are fully engaged in understanding the importance of
4 having diversity in research. Our approaches go from grassroots campaigns to more innovative
5 ways and solutions to engage with folks, such as having a pop-up pharmacy that is empty. But
6 also, recently, we have relaunched our educational RV, which is an experience where folks go
7 onto the RV, there's essentially an exhibit that shares information about clinical research, and
8 this year's iteration is partly funded by the FDA's Office of Minority Health, and it does focus
9 on diversity, equity, and inclusivity.

10 I know that was quite a bit of information, but I hope that this was very helpful for
11 folks. And again, thank you for the work that you do as part of the Patient Advisory Committee.
12 I applaud you, and I hope I provided you with some things that you can take away and apply in
13 your every day. Thank you so much.

14 Mr. Conway: I'd like to go ahead and thank Ms. Tesheia Johnson and Mr. Behtash Bahador
15 for their presentations. We will now proceed with a presentation on the NIH All of Us research
16 program by Dr. Karriem Watson, Chief Engagement Officer, All of Us Research Program,
17 National Institutes of Health. You may now begin your presentation. Thank you.

18 **The NIH All of Us Research Program: Accelerating Health Research Through Community**

19 **Engagement — Dr. Karriem Watson**

20 Dr. Watson: Thank you so much. While the slides are being pulled up, it's exciting to be here
21 today. And I want to thank you all for the invitation from the FDA to the Center for Device and
22 Radiological Health, to present on our All of Us research program. And you'll actually hear in
23 our presentation, a lot of the same things that you've heard from my colleague, from our other
24 partners, about the importance of centering communities' voices in this work, the importance of

1 making sure that engagement is bi-directional, the importance of making sure you include
2 participants and community members as partners, and that you build upon the assets and the
3 rich knowledge that community members already bring to this work. So, excited to build upon
4 the dialogue that's already happening.

5 My name is Dr. Karriem Watson. I am the Chief Engagement Officer of the NIH All of
6 Us research program. And so today, what we hope to accomplish is to introduce the All of Us
7 research program to some of you who may not be aware of what the All of Us research program
8 is, to discuss the importance of advancing genomics through community engagement by
9 including populations who have been historically underrepresented in biomedical research.

10 Another thing you heard here today already, the importance of diversity, equity, inclusion. And
11 lastly, to highlight the program's community participant engagement framework. You've heard
12 already a lot of what should happen. Hope to provide you a little bit of an example of how we
13 actually do some of this work through an adapted ecological model. Next slide.

14 The All of Us research program, unlike some research studies that are looking to answer
15 a particular research question, the All of Us research program is setting out to enroll a million
16 more people over the next 10 years that can truly drive precision medicine and drive genomics
17 research. To date, the All of Us research program is the largest genomic research program in the
18 world, with over almost 700,000 participants enrolled, with data on over 475,000 participants,
19 bio samples on over 491,000 participants, including information from electronic health records.
20 The mission of the All of Us research program is to accelerate health research and medical
21 breakthroughs, enabling individualized prevention, treatment and care for all of us. The All of
22 Us research program really is built on the fact that, this concept that, medicine is no longer
23 never had, and it really has never been, an all in one one-size-fits-all approach. We understand
24 now that where we live, we often say that someone's DNA or their ZNA, their zip code, their

1 zip code neighborhood association is going to be just as important to their health outcome as
2 their DNA. And understanding this from an epigenetic perspective is so important.

3 We also like to pride ourselves in the All of Us research program in understanding that
4 place matters. And historically, one of the reasons why we have not seen the diversity and
5 including participants in research, is because place also matters. Historically, for example,
6 research is not often conducted in places and spaces where populations who carry the greatest
7 burden disease get their care. For example, fairly qualified health centers. If you look at this
8 map in the light blue, this is a depiction that shows that the All of Us research program has been
9 intentional in our recruitment of our sites and our participant engagement. And today we've
10 enrolled at least one participant from every state and U. S. territory across the U.S., and the
11 darker areas in blue here represent those health care provider organizations which are
12 recruitment partners. Next slide.

13 One of the key factors in our success has been our network, what we call our
14 community engagement ecosystem. That has allowed us to ensure that our diversity, equity,
15 inclusion numbers are over 80 percent of our participants are what we call underrepresented
16 biomedical research. That's just, that's also populations beyond just race and ethnicity, but
17 approximately 50 percent of our participants are actually diverse in terms of race and ethnicity.
18 I'm trained as a cancer disparities researcher. And my work was in community-based
19 participatory research, and I often had to deal with large secondary data sets that were not
20 reflective of the populations that I served or populations that carried the greatest burden of
21 disease, but through intentionality, through community partners, through community
22 engagement, and through intentional site selection, we've been able to achieve something
23 unprecedented in genomic medicine, with about 50 percent of our participants underrepresented
24 by race and ethnicity. And you'll see that breakdown there on the left. Next slide.

1 How do we do this work? We do this work through an adaptive ecological model that
2 we call the community and participant engagement framework. I love what one of my
3 colleagues said earlier, that knowledge alone is not power. We so believe in that. And so we
4 think about this concept from an ecological approach of outreach and awareness. Making sure
5 that we reach out to people to make them aware of the importance of not just our program, the
6 All of Us research program, but the importance of precision medicine, the importance of
7 research. We then talk about education and access, understanding that what it takes for some
8 populations to access a clinical trial or access research can be filled with a lot of barriers. So
9 how do we mitigate those barriers? Then we engage, enroll and retain.

10 One of the things we pride ourself on, in our program, is that successful engagement
11 doesn't always end up with recruitment in a program, and that's because that successful
12 engagement may be building trust. It may be building a partnership. It may be addressing some
13 of those historical issues that have led to some of the reasons why populations have justified
14 medical mistrust. We don't talk about medical mistrust in the All of Us research program as if
15 it's often not justified. There's a reason why certain populations do not participate and have not
16 participated in their research. And some of that has been because of systemic racism and
17 exclusion, lack of access, and lack of awareness. And we address those things head on in our
18 program. And then we engage participants as partners. And we also think about knowledge
19 mobilization. How do we get that information out to our partners? Next slide.

20 This is an example of one of our partners. We are very fortunate in the All of Us
21 research program to have a network of over 115 national community engagement partners. And
22 what you'll notice is that our partners that we work with, they reflect populations who are
23 historically under-engaged or underrepresented in research. We actually don't use the term hard
24 to reach populations in the All of Us research program, because we don't believe that

1 populations are hard to reach. We believe that populations are under-engaged. When we think
2 of terms like hard to reach that puts the onus on the population, but under-engaged puts the
3 onus on the entity to say that we need to do more to do the outreach and the partnership
4 development.

5 This example of work by one of our national engagement partners, the National
6 Alliance for Hispanic Health, who's been very instrumental in supporting the fact that the All of
7 Us research program has about 16 to 17 percent of our participants self-identify as Hispanic or
8 Latino. We also pride our program on the fact that when we launched our program, it was
9 launched in both English and in Spanish for the complete experience of the program. With the
10 help of the National Alliance we've been able to reach over 3000 participants and having
11 quality conversations, when they've been able to work with an event called the mobile
12 engagement asset. You'll see it, that's a van at the top of the program. That van has been that
13 mobile engagement asset, that's what you call the MEA, is so instrumental in getting the word
14 out to populations who historically would not have access. And then you'll see at the bottom,
15 even thinking about how do we get the knowledge that we've learned from our program out to
16 our participants. And this is just an example of how we operationalize our framework with one
17 of our national partners. Next slide.

18 And I'll end with talking about a research spotlight. One of the things that we often hear
19 in our program is the digital divide. Understand that there's still a large portion of the
20 population that don't have access to devices and don't have access to innovative ways. Our
21 program is unique in that we collect a multitude of data types. In addition to the biological
22 samples of blood, the blood and saliva that allow us to get to the genomic data, we also collect
23 survey data and even Fitbit data. And in that Fitbit data, we've been able to include over 41
24 percent diverse participants through intentionality and partnership development. So hopefully

1 through letting you know, our partnership work and our site selection, our other engagement
2 ecosystem, you've seen that we're committed to intentionality, intentionally including
3 populations who have been historically underrepresented in biomedical research. And I hope to
4 be able to answer your questions during the discussion section. Thank you all so much for your
5 time and attention today. Next. My information to reach me is actually here.

6 **Open Committee Discussion & Clarifying Questions**

7 Mr. Conway: Great. Thank you very much, Dr. Watson. Truly appreciate it. And also the role
8 of NIH today in your presence. Now we'll go ahead and do open committee discussion and
9 clarifying questions from the committee. As a reminder, this portion is open to public
10 observers. Public attendees may not participate except at the specific request of the committee
11 chair. Additionally, we request that all persons who are asked to speak identify themselves each
12 time for the purpose of the transcription. So let us go ahead and begin. Does anyone have any
13 questions from the committee, or any clarifying questions, for Ms. Tesheia Johnson, Mr.
14 Behtash Bahador, or Mr. Karriem Watson? Committee members, please turn on your video
15 monitors, unmute your phone, and state your name when you speak. Please place yourself back
16 on mute once you're done speaking. You can physically raise your hand or do it on the platform,
17 and I'll call on you. Why don't we go ahead and start with Anne Peters. Doc, go right ahead.

18 Dr. Peters: Yes, I loved all three presentations, and you're sort of preaching to the choir
19 because for the past 23 years I've been embedded in basically an FQHC in East Los Angeles
20 doing community-based research. But I have two questions that I'd love to get your input on.
21 The first is, is when I first started working in East and South LA, they said, why should we do
22 research with you, because all your researchers come from your academic institutions, spend a
23 year or two here with us, and then you leave. You take all your data and you give us nothing

1 back. And that's a big issue, because I think that still happens, and I'll bring that back in a
2 minute.

3 But the other problem is that when I try to do a multi-center protocol, the protocols
4 aren't written for my population. They're written for the standard people who do protocols. And
5 because I do device research, that means that a lot of the information is written at an 11th grade
6 level and has helplines that don't speak the language and don't understand my patients. And so,
7 I have a real problem trying to fit my patients into protocols. And that's hard. It's a barrier for
8 me and then my patients. So I try to have my patients choose what trials they want. So I'm just
9 now doing a study in patients with an automated insulin delivery system. And just yesterday,
10 even though this patient knew at the end of the trial, the device would be taken away, she said
11 to me, 'How can you do that? This device has helped me so much. How can you stop it when
12 the trial ends?' And she can't get it through her insurance because it doesn't pay for this device.
13 So how do you reconcile all of this, and what advice do you have for me in doing clinical trials
14 in devices in my underserved population?

15 Mr. Conway: So if one of our speakers would like to go ahead and take that on?

16 Ms. Johnson: This is Tesheia Johnson. I'd be happy to at least share our experiences with that,
17 and we can relate as well. Our catchment area does include three FQHC partners that we're
18 working with, and we have research active there. And, you know, one of the goals of our
19 cultural ambassadors program is actually to try to address some of those issues up front. So, if
20 we have protocols where we really have concerns about whether our entire catchment area will
21 be able to participate, including, you know, populations that have been more marginalized or
22 underrepresented in research. We try to take it to the sponsor early to say, you know, are there
23 opportunities still? We recognize where we are in the studies, but are there opportunities for us
24 to address some of these issues that will really be barriers? In some cases there are, that is

1 limited. And we know that generally, especially if we're talking a later phase trial where they're
2 seeking market approval of a device or a drug. But we still try, and we're hoping that education
3 will penetrate and be able to address it in our NIH studies and our agency studies, where we're
4 dealing with not-for-profits and we have more flexibilities. We actually really push the
5 investigators hard to address those and that investigator-initiated research.

6 And then the last thing about access, we also have that same conversation up front to
7 say, look, are there going to be access studies at the end of this? Are you going to have
8 programs where participants are going to be able to address these issues post-trial? And so
9 really having that community engaged feedback from individuals who are truly educated about
10 what all of these pieces are, so that we can have these conversations as part of our negotiations
11 in accepting the trial. It's not always successful. But that's why I think meetings like these are
12 so important, to say to sponsors of research that these are really important issues. And the
13 issues of trust and an access are going to continue unless we're really able to address them in a
14 systematic way and they're not all one-offs on the trial level. So, you know, that's really been
15 our experience. We have had some successes doing that. And so I'd encourage you to have that
16 input early so that you can try to have it as part of a contract negotiation conversation.

17 Dr. Watson: Yeah, and I'll just echo that briefly. I think of the role of the funder, I also think
18 as the funder it is also our responsibility to make sure that equity is baked into it. For example,
19 when we did our Fitbit study, we were very adamant about addressing the fact that if we would
20 have worked with only our participants who already had Fitbits, that population would not have
21 been diverse. It would not have been reflective of populations who carry, you know, live with
22 the health disparities that we're trying to address. So we were intentional about identifying a
23 cohort, a convenient sample, that actually was diverse, and making sure that those who didn't
24 have device, that we provide the device, and wrote it into the pilot project that you would be

1 able to keep the device at the end of the study. And I can't agree with my colleague more that to
2 have those conversations up front in the negotiation. And I also used to run research at the
3 FQHC prior to joining the NIH and those are some of the conversations I would have when
4 building the research project.

5 And I also, ironically enough, found that public and private partnerships were another
6 way that I could often, on the back end, provide some of the device and support that may not
7 have been a part of the grant budget, if you will, but a public private partnership sometimes
8 allowed that. Like, we had a home, a blood pressure project, through the FQHC that provided
9 home monitoring blood pressure kits, but then once the study was over, as you can imagine,
10 then the patients had to return those blood pressure kits. I worked with the local pharmacy to
11 get those blood pressure kits to get donated to the FQHC so that we could then provide our
12 patients, that the FQHC, with blood pressure kits after the study, so that they wouldn't feel that
13 it was helicopter research and that they only had that device for the time period of the research.

14 Mr. Conway: Thanks, Dr. Watson. Behtash, do you want to respond to Dr. Peters?

15 Mr. Bahador: Really, again, just to backpack on what folks have said, absolutely 100 percent.
16 It's really great to hear, you know, Dr. Watson talk about some success stories, because, you
17 know, the winds of change take time to, you know, wear down mountains, and certainly have
18 been mountains built up here, you know, the barriers that really prevent us from having equity
19 in representation and in trials. So, it does take time. Having said that, what's really also
20 important is continued sharing of not just the success stories, but also methodology to actually,
21 you know, sort of have metrics, and gain, measure impact around these patient engagement and
22 diversity, equity, inclusivity, you know, projects. Because a lot of sponsors will be looking at,
23 you know, how to balance us bringing this new product, this new device to as many patients as
24 possible, as quickly as possible, versus investing more time to really adequately ensure

1 diversity adequately, and then subsequently adequately ensure access. So the more that we have
2 the data out there, the metrics, you know, the learnings, publications, et cetera, that support,
3 taking the time to make sure that we're doing all these activities that we've talked about, the
4 better off that we'll all be.

5 Mr. Conway: Great, thank you very much. Let me go ahead over to Necie Edwards. Necie.

6 Ms. Edwards: Hi, my name is Necie Edwards, and I have two questions. One is for Ms.

7 Johnson and one is for Dr. Watson. So I want to start with Ms. Johnson. You, when you put

8 your slides up, and by the way, I've enjoyed all of the presentations, but on your slide, Ms.

9 Johnson, I saw that it mentioned that with the healthcare ministries and the churches, how they

10 are involved and AME is one of your partners. So, was it the community that selected the

11 churches? Because I was wondering if you all had ever considered partnering, in addition to

12 AME, with COGIC (Church of God in Christ), as well as the Baptist Church. I know within the

13 Baptist churches, many of them also have health ministries. And also, the communities, it

14 sounds like they are very engaged. So, do you also do presentations at the libraries? Many

15 people of color, also I've seen presentations done in beauty shops, barbershops, as well as

16 churches.

17 And then for Mr. Watson, you mentioned for All of Us, it sounds like your organization

18 is all over various communities. So how do you determine which one has the greatest needs?

19 Because when you mentioned about the van, in my mind, as you were speaking, I was trying to

20 visualize, and I said to myself, wow there must be a lot of vans because how are you, you

21 know, hitting the ground in all these different communities. Thank you.

22 Mr. Conway: Go right ahead, Ms. Johnson.

23 Ms. Johnson: Thank you for the question. And I agree with your comment earlier, by the way,

24 you can see that we were on the radio shows and that's a really fantastic way of reaching the

Commented [NM3]: Youtube timestamp: After 4:08:07

I think this is what Necie was referring but would love additional input

1 audiences. But in terms of the partnership with the churches, we started with the AME Zion
2 churches of Connecticut, because that actually was a denomination that was identified by
3 community, and early focus groups, before we started this partnership, as one of the
4 denominations that might be easier to organize because of the structure of the church. That they
5 had a structure sort of like a corporation, in that within each church there was a health minister
6 that was assigned. And so, it'd be an easier way for us to get started. In our, the partnerships that
7 I mentioned at the end of our slides, our new partnership, a grant from pharma, as well as some
8 of our work with the FDA, we're bringing in other partners and other denominations. But I
9 think one of the great things about the partnership as it stands now, it's 17 churches. But those
10 individuals, what they do for us, is help us address access across our entire portfolio and
11 throughout our entire catchment area.

12 So, although they are the partners who've had all of the dedicated training, when we
13 have a specific opportunity, and this gets to the second part of your question, they then
14 determine how we best go about messaging or access, whether that be a recommendation
15 specifically to add content to the radio show, or, you know, YouTube videos, or it could be that
16 they'll propose presentations, which could be done in barbershops, beauty salons, in churches
17 and libraries, we've had them all over the place. Two Saturdays ago, we were in one of the local
18 community parks in the neighborhood, with a large health fair that had table tents for 30 of our
19 trials, because they thought that that was the best way to actually message about some of that
20 research. So, the beauty of the partnership is that they help us determine how we then message
21 with each one of the activities that we're doing. And so it's not limited to just the things that
22 we've talked about.

23 And then the last thing I'll mention, one of the other things that I think is not always
24 thought of, especially in underrepresented populations, is the messaging from the health center.

1 But in our research with our FDA Office of Minority Health and Health Equity, we're actually
2 seeing that if we culturally optimize the message, even the messages we send out through our
3 MyChart and through our health system, get read at an enormous rate. And so it's really about
4 that partnership that helps us address the right message at the right time. And the right method
5 of getting out to them. I hope that answers your question.

6 Ms. Edwards: Thank you.

7 Dr. Watson: And I'll be brief, just, I know, in the interests of time. Thank you so much for
8 your question. So, in giving you a little bit more detail about the MEA, it's a mobile
9 engagement asset. So, here at the NIH, and the All of Us research program, we have a network
10 of over 115 community partner organizations that actually receive an award from the NIH to
11 provide engagement support. One of those partners is an organization, a montage marketing,
12 through, and they provide what they call mobile engagement assets. Those vans. The way we
13 determine the geographical place, so that van has what we call the All of Us journey tour. It
14 takes a tour across the entire U.S., but it prioritizes sites and going to places where we don't
15 have local engagement and enrollment sites. So, the van would not likely come to Chicago,
16 because we have three enrollment sites at Chicago at UIC, University of Chicago, and
17 Northwestern. But it would go to Colorado. And when it's in Colorado, it would partner with
18 one of our local community engagement partners, or our national community engagement
19 partners, to prioritize a population who is under-engaged. So, we really do a network analysis,
20 if you will, to say, where do we not have an enrollment footprint? And then we try to situate our
21 mobile engagement assets in those communities. And I just want to underscore the importance
22 of faith-based partners. National Baptist Convention USA has been one of our national
23 community engagement partners since, for over five years now in our program, helping a lot
24 with faith-based engagement too. So, thank you.

Commented [OC4]: I don't understand why this is here. I think it pertains to an organization of some sort but don't know what organization they're trying to reference

1 Ms. Edwards: Thank you.

2 Mr. Conway: Thanks Dr. Watson. Dave White, go right ahead.

3 Mr. White: Thank you. This is Dave White. My question is for Behtash, but other presenters
4 can feel free to comment as well. One of your slides, you broke down health literacy into two
5 components, being personal health literacy and organizational health literacy. And it occurred
6 to me that, perhaps community health literacy might be considered for inclusion as well. I say
7 that because I had the privilege of working for a gentleman who founded a nonprofit, and he
8 was so convinced that if a community galvanized to take charge of improving its own health
9 outcomes, that that's really where the magic happens. And that's where, you know, health equity
10 can be addressed not only with medical devices, but in health care overall. And just listening to
11 everyone's comments and presentations, all revolving around the word community, I was
12 wondering if you would consider including community health literacy in that paradigm, or is it
13 part of organizational health literacy? And I'll just stop there.

14 Mr. Bahador: I think that's an excellent point. You know, the addition of the organizational
15 health literacy component to the definition in Healthy People 2030 was really meant to address
16 the concern that, by focusing only on personal health literacy, we place the onus entirely on
17 individuals, right? To find information, understand information and services. And so adding
18 that organizational component was meant to address that, you know, alleviate some of that, and
19 make sure that you know, as Dr. Watson said, that other stakeholders, other than the, you know,
20 the individuals or the patients or the public, are taking up the mantle to help improve health
21 literacy. And having said that, you know, perhaps unintentionally, I think a lot of folks are,
22 CISCRP included, but probably the All of Us campaign too, from what I've heard today, and
23 also from what I know about it, we do take sort of that community-based approach, right? And
24 so I think it's an excellent idea, to sort of, you know, view things from that lens. And it's

1 something that, you know, if you'd like to, yeah, work together to sort of get that out into the
2 ether so more folks can recognize that and, you know, use that terminology to move forward
3 their initiatives and their focuses, I'm more than interested to work with you on that.

4 Mr. Conway: Great. Thank you. Any of the other speakers want to comment on what Dave
5 White asked? If not, we'll go ahead to Dr. Joniak and Grant, if you have a question.

6 Dr. Joniak-Grant: Yes, thank you. So this is really a question for the panel, but Dr. Bahador
7 mentioned the importance of recognizing, and grappling with implicit bias. And we know this
8 contributes, right, to inequity in devices. So, what I'm wondering, is sort of what steps would
9 you all recommend that can be taken to sort of combat implicit bias in device design, and are
10 there, in your experience, any sort of red flags to look out for when you're looking, reviewing a
11 clinical trial or doing an evaluation of device, that might signal that that really hasn't been taken
12 into account before it goes to market, right, it all becomes apparent unfortunately, usually
13 quickly.

14 Dr. Watson: Sure, I'll start. Okay. I think one, that I really appreciate that comment. I think
15 one of the most important things, although the All of Us program is not doing device research
16 per se, I think the concept of implicit bias is generalized across a lot of other areas. And one of
17 the areas we see it, for example, we do a lot with artificial intelligence and machine learning in
18 our platform. And one of the things you have to do in those settings with implicit biases, A,
19 acknowledge that it will exist. You know, I'm public health trained and one of the things we
20 like to say in public health is that you will never eliminate bias. You will only minimize bias.
21 And I think if you go into research design with the concept that you have eliminated bias, and
22 that you do not have implicit bias, then that is part of a huge problem upfront. You have to
23 acknowledge that it will exist and do your best to mitigate it.

1 I think another thing that we've seen, that we've learned from the community, with the
2 community, is the importance of diverse voices and diverse backgrounds, even in training, at
3 the table, to help mitigate some of that bias. Because when it, particularly for us, when it comes
4 to artificial intelligence and machine learning, we often say that the algorithms out are only as
5 good as the experiences in. So you have to have diverse voices at the table, helping to design
6 the research and design the product, so that you can take the lived experience and all those
7 things into account when it comes out. And Dr. Peters described it. You know, I worked in the
8 FQHC as well, and did implementation science. When things are not designed for and with the
9 community, and you try to implement those processes in the community, those findings are
10 often not generalizable. And so, it's really important to have diverse stakeholders at the, diverse,
11 excuse me, partners at the table and helping design that research and acknowledging that that
12 bias is there. To mitigate it, but it's, I don't know, ever feel that we eliminate it.

13 Mr. Conway: Great. Thank you very much. Let me just jump in here, folks on the questions
14 themselves. So, the ideal here is that you're posing questions to the speakers that help inform
15 the discussions later, on the questions from FDA. And so what we're really doing is driving for
16 clarifying questions on the presentations, again that help inform how you're going to be
17 answering and discussing things later. But I just want to put that out there because we're not
18 debating amongst ourselves or anything like that. And the content that we're trying to get from
19 the speakers is for clarification, to inform that later discussion. So, let me now run over to Ian.
20 And go ahead, Ian.

21 Mr. Burkhardt: Yes, this is Ian Burkhardt. My question is for Behtash. When you're looking at
22 the surveys that you put out, for your pamphlets and the information that you generated, and
23 you said you got over 500 responses from those surveys. Do you try to match the demographics
24 of the respondents to the condition or disease that you're looking at, specifically?

1 Mr. Bahador: Yes. And thank you for the question. In this case, we're disease agnostic, right?
2 So, we haven't targeted those specific materials to individuals that have a particular condition,
3 nor any particular therapeutic area. We do that with other materials that are, you know, study
4 specific or, again, you know, condition specific or disease specific. But just to go back to those
5 particular brochures, each one of them were tested with 500 individuals from the respective
6 community that we were hoping to reach. So, whether that was Hispanic and Latino
7 communities, or Black and African American communities, or LGBTQ+ communities, within
8 each of those, 500 individuals. And so, you know, in total 2,500 individuals. Hopefully that
9 helps clarify.

10 But, again, just to go back to the other part of that. Definitely, you know, anytime we
11 create any materials, we want to, at the very least, have it reviewed by individuals that are
12 representative of those who would actually receive the material. And so, we would do that
13 through what we call review panel. And then there are, you know, ascending levels of, you
14 know, sort of patient engagement and patient insights that you can get through different
15 methodologies. But a great question again. Thank you.

16 Mr. Conway: Great. Thank you very much. Thank you, Ian. Dr. James.

17 Dr. James: Hi, Jijo James. Again, great, great presentations. My question is primarily for
18 Ms. Johnson and perhaps Dr. Watson as well. Again, given your role in community
19 engagement, given your role in having conducted both pharmaceutical and medical device
20 trials, and given the topic today around advancing equity for medical devices, anything that you
21 feel this committee should know about the uniqueness or nuances around medical devices that
22 might impact health equity? Specifically, when it comes to running clinical trials.

23 Ms. Johnson: You had a great, great question. Sorry. Tesheia Johnson. I forgot to announce
24 when answering, but that's a fantastic question. I think devices do present quite a few

1 challenges, in the context I'll say first of when we're studying devices, there's oftentimes access
2 issues with the devices themselves when we're putting them in a community setting. There are
3 issues, as an example, a lot of the devices communicate through Wi-Fi and other things. And so
4 we know the Wi-Fi issues that, those things are well known. But a lot of, especially in my
5 marginalized communities, Wi-Fi might even be something that, they're responding to surveys
6 or device activities within the context of using a personal cell phone. And then, if the study is
7 not considering patient burden, as it relates to things like their plans, they might run out of
8 minutes, and other kinds of things, that are specifically related to that population. It becomes an
9 access issue. It becomes an issue for reimbursement, and it will limit participation.

10 Also, oftentimes, especially in device studies, we find that sponsor's studies, they like to
11 throw on multiple devices, answering multiple questions, and it also creates kind of confusion
12 for the patient. Sometimes they're coming from different vendors. Different vendors will have
13 different passwords. All of those things add to sort of this landscape of patient burden that's not
14 really addressed generally in protocols. And so I think, you know, really considering those
15 kinds of issues when protocols are in the design phase, and having adequate representation
16 across multiple communities so they're really thinking about sort of these lived experience
17 aspects. Also in device studies, sometimes with populations where there there's caregiver
18 burden. And caregiver burden isn't adequately thought about. So I think this entire issue of a
19 burden is one specific that we should look at.

20 And then, a really unique, some unique challenges that we see in device trials related to
21 two things. In our experience in minority populations, there's generally a distrust of activities
22 related to hospitalizations. And so, really a messaging around, importance of messaging around
23 hospitalization and hospital-based research, has become an important aspect to how we think
24 about recruitment and how we address it proactively in advance of that, of enrollment, and

1 there should be campaigns specifically targeted around some of these issues where there's
2 specific trust.

3 And then, there's also, we are seeing with minority participants, in particular
4 populations of color, when there's something implanted. There's a real distrust, like, what are
5 they doing with that information? What kind of data are they seeing? Do they have ability to
6 see other kinds of data besides the data that they're telling me about? Can they track me in some
7 way that's inappropriate? And so really, all of these things really not only affect the
8 participation within the trial, but we know from our work in the example that I specifically
9 talked about, we know that there's a concern, after, even after approval, did the FDA consider
10 all of these factors? And so I think that there's really important things that this committee could
11 think about in the context of both design as well as the post uptake that would really enhance
12 trust by populations of, by all populations, and not just populations of color, marginalized
13 populations.

14 Mr. Conway: Great. Thank you very much, Ms. Johnson. Let's go ahead to Ella Balasa. Go
15 ahead, Ella.

16 Ms. Balasa: Yes, thank you. I'm Ella Balasa. I first want to say, to Karriem Watson, I
17 commend your comment, where you stated that successful engagement isn't just recruitment,
18 but it's, you know, building trust and unity and then your recruitment can follow if that that
19 foundation is built with that population. This is to all the presenters. Can you share some
20 specifics on how you measure success with different communities, using some of those various
21 innovative engagement strategies that you mentioned, like the pop-up pharmacy with the
22 mobile engagement asset or vehicle. I really want to understand how that, the success, how
23 that's measured and what's the impact and how that differs, be for the recruitment of device
24 trials versus other regular trials.

1 Mr. Bahador: I can jump in very quickly. Hi. Hello. This is Behtash Bahador. Thank you for
2 the question. So, just specifically, to try to be as brief as possible, for that FDA grant funded
3 mobile education initiative, we will be providing a pre and post survey to measure the
4 perceptions of research and understanding of research of individuals that go through that sort of
5 mobile educational exhibit. It's essentially an intervention, and we'd like to measure the impact
6 of that intervention, on the day of. And then a few weeks later, those individuals will again
7 receive another survey, to further assess not just their perceptions and knowledge, but also how
8 this may or may not have changed their behaviors towards research. And that does include, of
9 course, as you mentioned, not just have you thought about participating in trials, but have you
10 thought about getting involved, being on an advisory committee, or otherwise engaging in
11 clinical research in a way that can have a positive impact for your community.

12 Mr. Conway: Thank you, Behtash. Let's go over to Naveena Yanamala.

13 Ms. Yanamala: I'm Naveena Yanamala. Thank you for the great presentations. And my question
14 is to all the speakers today. Underserved communities often face social determinants of health
15 that significantly impact their well-being. Given that, how do these aspects are taken into
16 account when you are collecting data and analyzing health data to provide a more holistic view
17 of health equity? And following up question is, what information is important to be provided to
18 the public for transparency when it comes to clinical trials involving medical devices?

19 Mr. Bahador: I may be able to help with the second part of that question, just because CISCRP
20 and myself have worked a lot in providing plain language.

21 Mr. Conway: Can you just go ahead and state your name for the record?

22 Mr. Bahador: Sure can. Yeah, this is Behtash Bahador. So the second part of your question
23 about providing results of trials with devices, we've worked a lot on sharing results of trials in a
24 plain language summary for clinical studies of other products. Biologics, vaccines, other

1 medicinal interventions. And so a lot of sponsors that are doing that are following standards
2 that arise from the requirement in their European Union to provide a plain language summary
3 at the conclusion of a trial. And so there are lots of guidances out there, some that we've also
4 helped to work on, that really lay out sort of 10 high-level elements of information that should
5 be reported from the trial, including results and safety. And folks are taking some slightly
6 different approaches to fulfilling that, but I think sharing information in a plain language
7 summary is critically important.

8 Then the other part of that, which I won't take too long to talk about, is individual
9 results. That's more of an emerging area. Some sponsors are doing that regularly, at least
10 providing incidental findings, during the trial, to individuals. Or at least sharing unblinding
11 information, making sure that they know what intervention they took or used during the trial.
12 But there's a lot more that can go into determining how individual results should be provided in
13 trials. There is also a pretty large guidance document on that out there.

14 Mr. Conway: Great. Thank you very much. Let me go to Dr. Fisher. Go right ahead, Dr.
15 Fischer.

16 Dr. Fischer: Hi, this is Gwen Fischer. I just have a general question for all of the speakers.
17 Wondering if you have practical examples for how you have seen community partners utilized
18 well for some of the earlier phases of clinical trial development, such as protocol development,
19 and if you have best practices for how to appropriately recruit and utilize those community
20 members to assist with protocol development.

21 Ms. Johnson: I'm happy to address, you know, from our experience. I'm sorry, Tesheia Johnson
22 speaking here. One of the important aspects, the most important aspects, for us, in utilizing the
23 community partners, is the educational process for them. I think that by having them really
24 understand what we're trying to achieve within the context of the trial. And that has included

1 for us, in any way, education from biostatisticians on the on important aspects of study design
2 as well as RIRB on issues related to risk benefit and other kinds of things. And so, really having
3 these community members and leaders who are knowledgeable about all aspects of research
4 weigh in on our research, not just the early phase, has been key to success because they're able
5 to really understand not only what's required related to regulatory approval and by the FDA or
6 EMA, but also IRB and what patients have to consider. And so their advice comes as real true
7 experts in the space of trial design and community. And that that's helped us immensely to
8 address some of the issues within the context of the earlier phase device studies.

9 Mr. Conway: Great, thank you. And then, to wrap up here, Teresa Diaz, go right ahead.

10 Mrs. Diaz: Teresa Diaz, so this question is for all the presenters. You all spoke about a little
11 bit of mistrust with data. Do you have any safeguards in place to protect that data? And do you
12 share it with your participants?

13 Dr. Watson: Yes, I'll start. This Karriem Watson, All of Us research program. We do have
14 safeguards in place. As you can imagine, one of the areas of engagement that we actually have
15 in our research is actually tribal engagement. And, so you can imagine that we're actually
16 leading up to, we're getting ready to have a tribal consultation on September 28th to actually
17 talk publicly about how we safeguard the data and talk about the processes and the procedures.
18 We actually work with health literacy partners to actually communicate how we safeguard that
19 data to communities, because that was one of the big levels to address a medical mistrust that
20 we found, is that people wanted to know, because we collect HER data along with biospecimen
21 data and survey data. So you can imagine that our participants really wanted to know. So we
22 work with our participant ambassadors, who are actually participants in the program. And we
23 test message with them to say, is this description of the message and how we protect that data,
24 the research, we have a research access board that actually determines how we design the

Commented [OC5]: Does this stand for something? Not sure why it's here

1 research studies that will be available to the public, and even make sure that polarizing
2 language is not included in the description of that data. And all of those things have participant
3 and community oversight in how we describe that process.

4 Mr. Conwy: Great. Thanks, Dr. Watson. Tesheia or Behtash?

5 Mr. Bahador: Yes, this is Behtash Bahador. I just, two points. One, I wanted to clarify,
6 CISCRP doesn't conduct any clinical research ourselves. We certainly partner with
7 organizations that do, but we do conduct, you know, patient insights research. And so if we do,
8 you know, gather information in surveys or from the folks that that collaborate with us, yes, of
9 course we have safeguards in place. Couldn't agree any more with Dr. Watson, in that the
10 communication of information to prospective participants and their families and their
11 communities, making sure that that's clear is incredibly important.

12 And just the last point that I would say is that, sort of logistically and organizationally,
13 make sure that the staff, you know, that are working on the research program can also answer
14 those questions. And that maybe there is a designated, you know, data officer that folks can
15 reach out to in case they have questions, because ultimately, you know, if you provide
16 information in a very clear way and you tell them we're taking care of this, and then folks still
17 have questions, they want to call and talk to somebody, who is, you know, empowered to be
18 the, you know, official coordinator of data for that study, then you should be able to reach that
19 person if you're a participant.

20 Mr. Conway: Great. Thank you very much. And Tesheia Johnson, I'll turn to you. You have
21 less than a minute, if you could go and give a response.

22 Ms. Johnson: Thank you. Yep. I'll just, this is Tesheia Johnson. I'll just add one important
23 point for consideration, also. Not just during the trial, but data post-trial, we found to be a big
24 issue for the community. Who has access to the data after the trial closes, and being able to

1 address that, and having an appropriate response for not only your participants, but your
2 community, is an important aspect of what we found to enhance stress as well.

3 Mr. Conway: Great. Thank you very much. We'll now take a 10-minute break. Committee
4 members, please do not discuss the meeting topic during the break, amongst yourselves or with
5 any virtual member of the audience. The meeting will reconvene at 2:15 PM. At that time, we'll
6 proceed with Open Public Hearing. Thank you.

7 **Open Public Hearing**

8 Mr. Conway: We will now proceed with the Open Public Hearing portion of the meeting.

9 Public attendees are given an opportunity to address the committee and present data,
10 information, and views relevant to the meeting agenda. Ms. Williams will read the Open Public
11 Hearing disclosure process statement. Now, go right ahead.

12 Ms. Williams: Both the Food and Drug Administration and the public believe in a transparent
13 process for information gathering and decision making. To ensure such transparency at the
14 Open Public Hearing session of the advisory committee meeting, FDA believes that it is
15 important to understand the context of an individual's presentation. For this reason, FDA
16 encourages you, the Open Public Hearing speaker, at the beginning of your written or oral
17 statement, to advise the committee of any financial relationship that you may have with a
18 company, or group, that may be affected by the topic of this meeting. For example, this
19 financial information may include a company's or group's payment of your travel, lodging, or
20 other expenses in connection with your attendance at the meeting. Likewise, FDA encourages
21 you, at the beginning of your statement, to advise the committee if you do not have any such
22 financial relationships. If you choose not to address this issue of financial relationships at the
23 beginning of your statement, it will not preclude you from speaking. Thank you.

1 Mr. Conway: Thank you. FDA has received 11 formal requests to address this committee. We
2 had one person who's not able to join. Speakers who submitted their request to speak by the
3 deadline indicated in the meeting's federal registered notice will be given five minutes to speak.
4 And let me say that again, it's five minutes. We will begin with the Open Public Hearing with a
5 presentation from Dr. Karin Hoelzer from the National Organization of Rare Disorders. Dr.
6 Hoelzer, you may begin your presentation.

7 Dr. Hoelzer: Hello, I'm Karin Hoelzer. I'm the Director of Policy and Regulatory Affairs for
8 NORD, the National Organization for Rare Disorders. It is my pleasure to provide comments
9 on advancing health equity in medical devices. NORD is an umbrella organization founded by
10 patients for patients to improve the life of people with rare diseases through advances in care,
11 research, and policy.

12 So what are rare diseases, and how are medical devices used in our community? In a
13 nutshell, rare diseases are those that impact fewer than 200,000 individuals. There are more
14 than 7,000 known rare diseases, that together affect more than 30 million Americans. Our rare
15 disease patients rely on a variety of different devices, including class one, two, and three
16 devices for various functions and organ systems. And on this slide, you see a variety of
17 examples of the types of devices that are commonly used. Given the challenges of product
18 development for rare diseases, off label use is extremely common in our space. Also, many of
19 our patients are what we call medically complex. meaning that they rely on more than one
20 medical device to manage their disease. Devices often play a key role in allowing our patients
21 an independent life in their home.

22 That being said, many rare diseases are chronic and progressive, which not only means
23 long use, but an increasing dependence on devices over time. Rare disease patients face a
24 variety of challenges in equitable device use. First, many rare diseases impact multiple organ

1 systems, and devices can be very hard to use for individuals that struggle with challenges
2 related to vision, mobility, dexterity, verbal skills, etc. Because rare diseases are often chronic
3 and require long use, our patients commonly struggle with things like battery changes, software
4 updates, a lack of backward compatibility, etc. Often, our devices require specialized
5 consumable products like feeding cubes or formula, that are often not compatible because
6 similar devices from different manufacturers, which magnifies supply chain shortages and can
7 really disrupt the use of the device.

8 Oftentimes, devices for us are used beyond the evaluated population groups,
9 comorbidities, and use contexts. I wanted to share a few of our lessons learned in trying to
10 increase the diversity, equity, and inclusion in the rare disease space. The first thing we have
11 learned is that many of our patients face more than one barrier to equitable access, and not all
12 patients are the same. So it's really important to ask the patient community and not assume.
13 Engaging historically underserved populations is hard and might be even harder than
14 anticipated. So knowing your blind spots is really important. Successful engagement requires
15 trust, which is built on a long view and strong ties to the community. So realistic expectations
16 and good partners are key. Also, we've learned that diversity, equity, and inclusion can look
17 very different for different patient populations. And what we found helpful is to start by asking
18 who has been historically left out and why.

19 Remote technology can be a tremendous asset in more equitable access to care, and
20 really improve the patient centricity. For instance, by making clinical trial participation more
21 feasible, bringing a higher level of care into the home, and giving patients more insights and
22 better tools to control their disease symptoms. But what we've learned is that patients need
23 support to ensure that these remote technologies can be used safely and effectively. The needs
24 will vary by patient and context of use, and they might evolve over time as the patient moves

1 across the trajectory of his or her disease. It's important to be mindful of potential unintended
2 consequences, and to understand the patient community and their unique needs. Again, off label
3 users or users in specific sub populations may require additional support that may not have
4 been obvious at initial clearance or approval.

5 Finally, a word about education. Educating rare disease patients and families is a key
6 priority for us at NORD, and we've learned that patients have unique insights. Nobody is a
7 better expert into living with their disease than they are. But, patients need education and
8 support, and asking the right questions is key. There's an inherent tension between the
9 complexity of the regulatory system and the time that a patient can spend. So setting realistic
10 expectations is key. On this slide I'm providing my contact information, and thank you very
11 much for your time.

12 Dr. Allen: Hello, everyone. I'm Bibb Allen and I'm a radiologist from Birmingham,
13 Alabama, and it's a privilege for me to present to the FDA patient engagement committee about
14 health equity and medical devices, and in particularly health equity as it pertains to software
15 medical device, which encompasses artificial intelligence. I do not have any conflicts of
16 interest, although I have been an officer of the College, and now the Chief Medical Officer for
17 the Data Science Institute. And it's through these opportunities that I've learned that medical
18 specialty societies have a unique opportunity to be an honest broker working with industry and
19 regulators, to ensure that we can promote safety and efficacy in our care for our patients. We're
20 pleased to see HHS's continued focus on health equity. That is certainly true within the medical
21 community as well. And when we look at issues related to medical devices, and in particular,
22 AI.

23 I want to call your attention to this study from the GAO from September of 2022, where
24 they looked at some of the reasons for the slow adoption of AI in health care, and they found

1 that incentives and resources were two of the main considerations. And they wrote many health
2 care systems operate on razor thin financial margins, and that robust insurance reimbursement
3 programs will be needed to prevent a two-tier health system. When we look at all the different
4 ways right now that we're trying to pay for AI in health care, it's a very complex landscape.
5 CMS considers AI software as a service, and they have promoted various ways for paying for
6 AI in the various fee schedules. But these are quite disparate and particularly confusing to end
7 users and most developers. We're certainly pleased to see CMS officers write about the
8 opportunities for payment for emerging technologies and are intrigued by the possibility of a
9 parallel review program in which CMS and the agency together can engage with manufacturers
10 regarding evidence development that would allow FDA-cleared tools to get a path, a quicker
11 pathway into adoption because of payment policy.

12 I think one of the questions, some of the questions, that we have, does the software add
13 value for patients and beneficiaries? Will the software be widely generalizable to diverse
14 patient populations? And can we determine what the appropriate reimbursement is? We saw
15 that, in the last cycle, CMS proposed the development of a C Code that would go from FDA
16 clearance straight to payment for the service. And some of these services are being paid in the
17 OPSS. But there is concern that this will create a large number of individual codes, and really
18 concern is that, will every code that garners FDA clearance actually add value for our patients
19 and the end user stakeholders. So CMS ended up not finalizing this rule, but I think, as we look
20 forward at health equity, and we see other agencies doing that, our key takeaways are that we
21 would like to see improved transparency for end users to help mitigate bias, and then have
22 payment policy that ensures a level playing field for equitable payment policy to prevent a two-
23 tier system.

1 In summary, the lack of reimbursement for AI is limiting its adoptions, and that many
2 academic and well-funded institutions will likely be early adopters, but small and rural facilities
3 and institutions that are under-resourced may not be financially able to adopt AI, leading to two
4 tier systems, which can disadvantage those who may benefit the most. A reimbursement
5 pathway that parallels FDA clearance is welcome, but it has challenges both for payment policy
6 development and the regulatory process. Thank you very much for allowing us to be here today.

7 Dr. Dreyer: Hi, this is Keith Dreyer, Chief Science Officer at the ACR Data Science
8 Institute, and Chief Data Science Officer at Mass General Brigham Harvard Medical School.
9 Thank you for the opportunity today to speak on AI medical device transparency. I have
10 nothing to disclose, except to those positions that I had mentioned earlier. When we look at
11 activities going on throughout the U.S. in AI in healthcare, we see a very active industry. If you
12 look specifically in the middle area here, this is from the AICentral.org website, where a lot of
13 the algorithms that are FDA approved are displayed for consumers to be able to review. We see
14 that there's rapid growth, particularly in the last 5 or 6 years, of algorithms that have been
15 approved by FDA. This has created some confusion with the transparency of the information
16 and decision making processes at the time of purchasing. And it's really because of these
17 hundreds of manufacturers that need to deliver information to tens of thousands of consumers,
18 potential consumers, and purchasers, and all the way out to patients. The testing results that are
19 achieved by the manufacturers, as certainly submitted to FDA and is used as part of the FDA
20 clearance process. Also, those clearance summaries are available to consumers through your
21 website, the FDA website, and they can also respond with medical device reports for
22 information to be able to move.

23 But there's still some deficient information that would be necessary and helpful to be
24 able to help the consumers to see more transparent access to the AI information. And just

1 exactly what are those is what I want to explore. So there are clearly the clearance summaries,
2 but there's additional information that is important. And the other question is, how can AI
3 consumers acquire that information? Some of the thoughts initially were that FDA would be
4 able to supply that, but for a variety of reasons, that's not really an option, in part because of IP
5 information from manufacturers, et cetera. So, the American Cultural Radiology Data Science
6 Institute is taking on an AI transparency initiative, which I want to describe because I think it's
7 one approach while we only cover medical imaging algorithms, which is about 80 percent of
8 the market that's available today through FDA approved algorithms, it is an effort that we think
9 is very important. So we asked the manufacturers directly for information regarding their
10 algorithms. This is things like the simple ones like model identifiers, exactly what the product
11 is when it was submitted, clearance dates, but even beyond that, the type of category, for CAD,
12 for example, and then model characteristics, inclusion, exclusion criteria, indications for use,
13 model performance regarding the study type and the references that they use, the age range,
14 gender ratio, ethnicity, ratio, et cetera. Training data sets, the size of them, the age range and
15 distribution, and again, geographic breakdown, manufacturers, scanners, all of that kind of
16 detail. And then finally model limitations.

17 This is a growing list, but it's a start. You can see it's pretty extensive and what we do
18 ask vendors, we do this and through PDF digital submissions to those vendors directly. And I
19 have to admit, we have a very good response. Vendors seem very eager to be able to make this
20 information available to their potential customers for decision making processes. So this
21 constructs a visualization inside of the website at the ACR transparency initiative for potential
22 purchasers to look at. This would be a roll up of that particular vendors view of all of their
23 algorithms, and when you click in further, you can see a full view of all of the marketplace
24 that's available and then drill in to see all of those transparency components that are available.

1 So it's really this, as we anticipate, not just giving the clearance summaries available and other
2 public domain information, but information that is captured by the manufacturers and not
3 always available. Not because they don't want to be forthcoming, just because it's very difficult
4 to make that information available in a common place. And so this is the initiative that we're
5 taking on, and releasing it later this calendar year.

6 In summary, for the advancement of health equity and medical devices, we feel it's
7 imperative for manufacturers to disclose information beyond that which is in the public
8 domain, enabling providers to execute informed and judicious decisions on behalf of their
9 patients. Thank you very much.

10 Ms. Horn: At Google, we believe we have a responsibility to carefully consider our impact
11 on health equity, and ensure our product services and research help everyone around the world
12 achieve their full health potential. By making health equity a core value of our work, we have a
13 responsibility to carefully and intentionally consider how these decisions impact equity in
14 health. Thank you for this opportunity to share what we do, how we work, and how we partner
15 to integrate health equity into our products, services, and research discoveries at Google.

16 Infrastructure is a critical component of incorporating health equity into our work at
17 scale. The health equity team's responsibility is to build a scalable, sustainable infrastructure
18 that makes it easy for our colleagues who are not equity experts to do the right thing when it
19 comes to health equity. Our goal is to see all product development efforts include equity by
20 design, in a way that is actionable and sustainable. To achieve this, we believe teams must have
21 access to embedded health equity expertise, a health equity playbook with tools and resources
22 to do their work, a community of health equity champions so as they learn they share, and
23 collaborative partnerships with the health ecosystem to encourage shared learnings. These

1 elements have emerged as our best practice and approach to successfully meet and support the
2 pace of technology innovation.

3 Google has long been committed to innovating responsibly. This is reflected in our AI
4 principles, which we published in 2018. In health equity, we feel it is our obligation to go a step
5 further in the application of our AI principles. First, to ensure that AI avoids creating and
6 reinforcing unfair bias, we believe that AI should not accelerate or create health disparities. To
7 address this, we developed the Health Equity Assessment of Machine Learning Performance, or
8 HEAL, framework, which is designed to quantitatively assess the performance equity of health
9 AI technologies. Specifically, the HEAL framework measures if an AI tool's performance is
10 more equitable. We define equitable as performing better for groups with on average worse
11 health outcomes, as compared to others. As part of this framework, we measure model
12 performance with respect to disparate health outcomes, which may be due to a number of
13 factors that include structural inequities, such as demographic, social, cultural, political,
14 economic, environmental, and geographic factors.

15 Second, in order to uphold high standards of scientific excellence, we believe that AI
16 should advance knowledge in historically understudied, neglected, or biased areas, while
17 developing and deploying new technologies quickly. The health equity team partnered across
18 Google on MedPalm to design more equitable generative AI models in health. We have
19 identified five important elements to embed health equity into generative AI models.
20 Participatory research and design, harm, bias, and equity specific use case evaluation,
21 transparency and documentation, algorithmic procedures to detect bias, and interdisciplinary
22 collaboration that incorporates social drivers of health in the social context in which it occurs.

23 We recognize that we cannot do this alone. Google is a founding member of the
24 Coalition for Health AI, partnering across the private sector, academics, and government, to

1 empower responsible AI development across health and healthcare. We also believe it is
2 important that communities impacted by health disparities are equal partners to building AI
3 responsibly, in a way that is trustworthy. To that end, our partnership beliefs extend to
4 contribute to the ecosystem by elevating health equity research and entrepreneurship through
5 such efforts as the Google Health Equity Research Initiative, which supports researchers
6 looking at new ways to use wearable devices to mitigate health disparities. Companies like
7 Acclinate, a Google for Startup company, using Google Cloud to work with communities to
8 increase diversity in clinical trials, and the Human Pangenome Project, a consortium of
9 researchers, creating a new resource that better represents the human genetic diversity.

10 We recommend that the FDA consider some of these learnings, particularly concepts
11 included in the HEAL framework, and our work embedding health equity in MedPalm, as
12 standards when evaluating innovations, and our approach in supporting teams that are building
13 products to improve on health outcomes and reduce disparities. Thank you.

14 Dr. Namen: Hello. My name is Dr. Andrew Namen. I'm a member of the American Academy
15 of Sleep Medicine Public Safety Committee. I wish to discuss with you guys inequities
16 regarding disbursement of refurbished replacement positive airway devices, or PAP, in the
17 recent Respiroics PAP recall. In this Phillips Respiroics recall, about 5 million patients had
18 PAP and assisted ventilation devices. In June of 2021, when the recall was announced,
19 particularly due to patients concerns of sinus and pulmonary syndromes and the advent of new
20 cancers. This was believed to be due to particulates released as a result of off gassing of volatile
21 organic compounds from polyester containing polyurethane sound abatement foam. Due to
22 these concerns, FDA MDRs were announced and published, as below. Recall challenges in
23 production as well as delivery were outlined.

1 The magnitude of the recall was significant. It was going to take months to years to
2 replace, and the challenges in communication were noted as they were sparse, insufficient, and
3 fragmented. Choices for patients regarding maintaining current therapy versus discontinuing
4 treatment versus costly alternatives were identified. The AASM member survey was birthed as
5 a result of these concerns to have greater understanding. It involved 427 participants of
6 multiple specialties and specialty interests, as well as a broad range of practices, incorporating
7 all 50 states. So one of the most important questions is how did patients address the recall?
8 Certainly, they were provided different positional therapies or alternatives to PAP devices, but a
9 majority sought a replacement of their device. This would lead to long delays, and patients who
10 were not accustomed to accessing information, limited.

11 This would also lead to unacceptable risks. The AASM sought to understand these
12 determinants to inequities, and identifying that some patients drop in and out of healthcare,
13 have difficulty accessing information regarding the recall and mitigation processes, or some
14 that require additional support to obtain a registered device. It was noted, many AASM
15 members reported, anecdotally, how they felt vulnerable populations were disproportionately
16 affected, and this was further supported by certain academic communities that also had that
17 heightened concern.

18 Currently, in 2023, the magnitude persists. Up to 70 percent of academic or physician
19 practices identify that ongoing challenges to the recall, and needing to help with the registration
20 process. The AASM and multiple circulations, as well as proceedings, sought to identify the
21 systematic causes to PAP delivery inequities. It was identified early in some publications, the
22 absence of industry-wide tracking technologies, that there was really no true guidelines that
23 existed to mitigate inequities, and several environmental factors, especially a lack of

1 transparency as the replacement protocol noted. This created an erosion of public confidence.

2 Several key points.

3 The impact of the Philips PAP recall is ongoing. Over 70 percent of respondents treat
4 patients with recall needs. There are inequities in alternative therapy approaches, especially the
5 costly ones. And some providers have actually seen, in a majority, negative health outcomes for
6 their patients. And finally, there were ASM members reporting a loss of trust among patients
7 regarding the entire process of replacement and recall. So we have several recommendations of
8 requests. Regulatory oversight, particularly guidelines to mitigate those inequities. Post
9 marketing oversight, equitable correction and corrective actions should be sought, and device
10 tracking for all devices. Thank you for your time and patience.

11 Ms. Rickerson: Hello, thank you for offering the chance for me to speak today. My name
12 is Grace Rickerson, and I'm the Health Equity Policy Manager at the Federation of American
13 Scientists. We're a D.C.-based nonprofit that's focused on bridging the science and technology
14 community with federal policymakers to make evidence-based science policy decisions. And
15 today I will be speaking about issues of racial, size, and gender bias in home use digital
16 monitoring technologies, that are of the focus of this patient advisory committee meeting.

17 To start, I'll speak a bit more about my work on pulse oximetry and why it has been a
18 key window into investigating the issues of racial and gender bias in medical devices. So as
19 many of us know, pulse oximeters are a critical technology for measuring blood oxygen
20 content. And today pulse oximeters are ubiquitous for everyday Americans. We see them built
21 into smartwatches, purchased at pharmacies for home health monitoring, and many other use
22 cases of these technologies. Merging algorithms or even incorporating pulse oximeter data to
23 predict future illness. As of now, about 30 percent of U.S. adults report owning a wearable
24 health monitoring device to track health symptoms.

1 But these devices, as investigated by a November 2022 advisory committee meeting of
2 the FDA, are less accurate and dark-skinned individuals. Seven common wearable watches,
3 such as a Fitbit and Apple watch, have been shown to be inaccurate when used by persons with
4 darker skin tones or even larger body sizes. And as these devices we see are becoming more
5 popular and central to decentralized clinical trials and home monitoring use, we see an
6 increasing risk and liability of these devices, causing harm through erroneous decision making.

7 So as patients see this data and interpret it for healthcare decisions, and it gets loaded
8 into digital health monitoring apps, we could potentially see increased risk as people make
9 clinical decisions based off this data. With kind of an example of COVID-19, where this issue
10 of racial bias came into the forefront, patients were deciding whether to go on, you know, go to
11 the hospital based on their pulse oximeter reading. And that is essentially a very high risk as we
12 move to digital health monitoring, as growing, as a key technology to home health delivery use.
13 Without effective guidance, wearable device users and users of home use technologies, such as
14 off the shelf pulse oximeters, are at severe risk of harm in interpreting the sensor outputs. And
15 really, it's not just pulse oximeters. Heart rate monitors, continuous blood glucose monitors, and
16 bilirubin monitors, for pediatric patients, are all prone to the same bias based on skin
17 pigmentation.

18 Further, we've studied how other key monitoring technologies are also prone to bias
19 based on gender and body size. So universal home use blood pressure monitors are incredibly
20 inaccurate. A recent study in JAMA Internal Medicine discovered that automated BP monitors
21 overestimated systolic blood pressure by about 5 to 20 millimeters per, in patients who are too
22 small for the standard cuff size, and four millimeters by people that are too large for the cuff
23 size. So about 40 percent of people in the trial ended up being misclassified as hypertensive,
24 using the standard cuff for monitoring hypertension. So this creates again, this high risk of

1 patients using these technologies to interpret how to change lifestyle or drug dosing, and I think
2 as we think about equity and medical devices, there's an importance to actually assessing these
3 technologies for efficacy, if they're going to be used at scale, and especially important as we
4 think about them as endpoints and clinical trial design, given that those data points would then
5 be used to approve drugs that would then be used by hundreds and thousands of patients around
6 the country.

7 So why I think this patient advisory committee is important for this current topic is to
8 evaluate the accuracy of these over-the-counter devices, that often miss rigorous evaluation by
9 the Food and Drug Administration. I believe there's a tremendous opportunity to collaborate
10 with the standards community, such as at NIST, academic community, private stakeholders, and
11 FDA leadership, to ensure that there is built trust in the data collected by these wearable
12 devices, so as to ensure that patients are given adequate diagnoses. This is will be a critical part
13 of the future of digital health technologies, and essential for this committee to consider today.
14 So thank you.

15 Ms. Doyle: Thank you to the Patient Engagement Advisory Committee and to FDA for the
16 opportunity to speak with you today. I'm Jen Doyle, Vice President of Clinical Research and
17 Medical Science at Medtronic, and Medtronic is a global healthcare technology company
18 focused on addressing the most challenging health problems facing the world today. Our
19 company's mission is to alleviate pain, restore health, and extend life for patients. We share in
20 FDA's commitment to driving enrollment of representative populations in our clinical trials. At
21 Medtronic, we've been on a journey over the past two years to increase diverse representation
22 in our clinical trials, to better reflect the populations that we intend our technologies to be used
23 in, from a global, ethnic, gender, and racial perspective.

1 We began with a programmatic approach to understand the patient populations in each
2 of our disease, state, and therapy areas, and then we looked closely at our historical clinical trial
3 enrollment. This helped us to determine where the largest gaps were and where we needed to
4 prioritize their efforts. We've piloted and now begun to scale deployment of a variety of
5 different approaches, to enable our sites to enroll and retain diverse study participants. We
6 began first by creating tools, materials, and training to support our existing sites and
7 investigators, as they were also implementing changes in their clinical research approach.
8 Examples of this include providing unconscious bias training to our clinical study staff, as we
9 kick off a new trial. Looking closely at the study budget, that we work with at the site, making
10 changes to address health inequities. Examples are device provisioning, or paying for out-of-
11 pocket expenses if a patient would be faced with additional cost of disposables by participating
12 in one of our trials. We've implemented new and broader approaches to patient recruitment,
13 though patient-facing materials that, of course, are translated into appropriate languages, but
14 also modifying to include racially and ethnically diverse images. We've done direct to patient
15 social media outreach, where we are encouraging patients to self-refer for trial participation,
16 which we have found helps to minimize bias since some underserved patient populations may
17 not participate in the typical care pathway where we would enroll subjects typically. We've also
18 been focused on identifying new sites. So in the past, we have repeatedly worked with the same
19 sites over and over for many of our trials. So we're now intentionally focused on identifying
20 and training new sites with diverse investigators, that care for diverse populations. We've also
21 began to formalize our patient engagement efforts in the area of study design. So, speaking to
22 patients that are representative of our target patient population, to gain their feedback on
23 potential barriers to enrollment, is a focus for us. And then finally, we have, through our
24 decentralized clinical trials program, have been deploying many different data collection

1 approaches, whether it's patient-reported outcomes recorded through an app on the patient's
2 phone, but also, you know, expanding our network of satellite sites that allow patients to be
3 seen in a place that's more convenient for them, minimizing travel time and costs instead of
4 traveling to a larger site.

5 So, through the deployment of these various approaches, we've learned a lot. Increasing
6 representation is complex, and we have found that typically we need more than one tool or
7 tactic in order to move the needle in any one study. Also, what drives the patient to participate
8 in a trial is very personal and can really vary from study to study. So our approach must match
9 those patient needs, and that patient input, and the onset of the study is going to be critical for
10 our success. It's important that we work together to overcome these barriers, and to further
11 improvement in this space. We believe collaboration and knowledge sharing across the entire
12 health care ecosystem is going to be critical to create a more inclusive environment for all
13 patient populations. Thanks for the opportunity to provide my thoughts. I look forward to the
14 discussion from the committee as well as a future collaboration with the stakeholders, including
15 patients, physicians and the FDA. Thank you.

16 Mr. Conway: Thank you. Our next speaker is Madris Kinard, founder and CEO at Device
17 Events.

18 Ms. Kinard: Hi, this is, you able to see my screen? Anyway, so this is Madris Kinard, I am
19 the founder and CEO of Device Events, and I previously worked for the FDA as the UDI
20 program manager. That would be the bar coding that you would now see on medical devices, as
21 well as the subject matter expert for Maude, which is where the adverse event reports are
22 contained, that are submitted to the FDA. I've also been an author for JAMA Internal Medicine
23 commentary and the Journal of Ethics. So, feel free to take a look at some of that if you ever
24 have any questions. I have not been paid to attend or present today. I wanted to also point out

1 that I'm a member of the MDIC Science Patient Input working group, and a member of the
2 Patient Safety Action Network and Breast Implant Safety Alliance. So I'm here both as a
3 business owner, but also as a data and patient advocate.

4 Equity and inclusion for all people and populations is at the forefront of our discussions
5 primarily around clinical trials. But we also need to be sure that devices that are getting cleared
6 or approved by the FDA are taking sex, age, gender and ethnicity, and even geographic
7 location, into account. It's also critical moving forward that we use the data we already have to
8 learn from the past and present. One of the things I wanted to point out today is that the FDA
9 has now received 17 million adverse event reports, and those are publicly available, but a little
10 bit difficult to access using the FDA system. As you can see here, we're now up to about three
11 million reports per year. One of the reasons that I wanted to speak today is because those
12 adverse events show 25 years of data and the sex, age, and demographic information has been
13 redacted from the public view for those 25 years. I have put in a request for that to be released,
14 and hopefully that's something that CDRH will be able to do. If CDRH were to unredact these
15 fields, scientists, care providers, and patients would have over 25 years of data that could be
16 used for retrospective studies to better understand the efficacy of a device used in pediatric
17 patients, the elderly, and diverse populations.

18 Device companies could also use this data to design more innovative devices. If the
19 FDA were to unredact this data, we would have access to the age, sex, and demographic data
20 that's reported by, here you can see, almost two and a half million physicians have reported to
21 the FDA. We would have data from these various groups that we can use. You may notice other
22 caregivers are listed here. Home health providers are a group we really need to work with more.
23 And so other in blank, I did take a look to see what those were. And those are primarily for the
24 diabetic testing devices and Alaris pumps. And I'm not sure why those didn't include a reporter

1 occupation. The practice of medicine has changed drastically in the last 10 years, and it's not all
2 due to COVID.

3 What can we do to bring safe, effective, and innovative digital health technology to
4 patients? We have EHRs that allow patients to view visit summaries and test results. What data
5 do we have on usage? There are watches and devices to monitor heart health and diabetes. How
6 can patients be sure their device has not been recalled or even check to see if it is FDA
7 approved or cleared? It's very difficult to find that information. Could AARP or Consumer
8 Reports assist the FDA with a campaign to educate the public about the UDI? A lot of people
9 don't know to ask for the information on the device that was implanted on them. And that's
10 something that is now available on the majority of devices. How are we engaging home health
11 care workers? Are we engaging home health care workers? And how can we reboot the
12 MedWatch or smartphone application, which was a phone app that allowed the public to send
13 adverse event reports to the FDA? There is a MedWatch program that already exists, but you
14 have to either fill out a form or go onto the FDA website to submit. This MedWatcher app that
15 was previously used, is a shorter version of the adverse event report, and it was tested by
16 physicians at a children's hospital in Boston, and then used by many patient groups. If you've
17 heard of the eSure device, many of those women use that phone app to submit their adverse
18 event reports to the FDA. How can we include the UDI barcodes in the reports that we're now
19 sending? So how can we continue this discussion beyond today and affect change? Those are
20 my questions for the group, and my comments. Certainly, feel free to reach out to me if you
21 have questions and I will stay on the call for a while, if there are any questions for me. Thank
22 you for allowing me to speak today. I really appreciate it.

23 Mr. Conway: You're welcome. Thank you very much. Our next speaker is Bernadette Lozano.

24 Ms. Lozano: Yes. Hi, this is Bernadette. Does everyone hear me?

1 Mr. Conway: Yes.

2 Ms. Lozano: Oh, thank you. A couple of months ago, this year, so I'm 21. I had an implant
3 done on my right hip. And so, walking down the street earlier this year, it goes bad. So in less
4 than two years, I'm up for a second surgery. So I have the second surgery done. And all this
5 process, I'm hearing a lot of information that's very useful to me because, as an individual, a
6 private citizen, I myself made contact with the manufacturer. I myself took it upon myself to
7 get more information about the barcode number, about the part that went bad, about the x-rays.
8 Because all this information was never really given to me, as a person that needs to receive a
9 device implant that's going to be beneficial for my life. And, turns out that, once I started
10 contacting the manufacturer on my own, good thing I was not a lawyer. Good thing I was,
11 didn't have anything in any court of law. They released information that was very useful for me.
12 And when I backtracked and tried to work with the doctor about why the information I had
13 already, from a manufacturer, they, people just don't want to talk to me anymore.

14 So, the best thing that I can do is recommend that, and I have to agree with some of
15 your speakers here, that there's no tracking system. When you have a surgeon's report, and that
16 surgery is done by the surgeon, the surgeon needs to put a barcode number or a serial number
17 next to the part, on that report. Because that report goes to the patient. So, first of all, that will
18 be helpful, not only for the doctor, but for the patient. And secondly, as much as we try and
19 incorporate AI with hopefully the manufacture of devices, we have to remember that even
20 when you do a 3D, when you develop a device using a 3D method, those are recalled.

21 So, I guess what I'm trying to point here is that I could not find any advocacy for
22 myself. I had to find it myself. I had to work through the whole process of finding my own
23 doctor for a revision surgery, finding information on my own, hoping that the doctors would
24 really be open to talking to me about the device, about the manufacturer used, but no, that was

1 just a big wall to work over, to climb over. And so, as a citizen, as a private citizen, I would just
2 encourage everyone here, that I think we're forgetting about that patient advocacy legislation
3 from 2005. That it's supposed to protect the patients. But in a way, it's not. So I think if we start
4 having a tracking system, to helping the patients and the doctors, when these things fail, that
5 would be a great help to private citizens, to doctors that try and doing their best to help us. And
6 that's what I'd like to put forward. Thank you very much.

7 Mr. Conway: Thank you. Our next speaker is Dr. Diana Zuckerman, President of the National
8 Center for Health Research. You may go ahead and begin your presentation.

9 Dr. Zuckerman: Thank you very much. Can you hear me?

10 Mr. Conway: Yes.

11 Dr. Zuckerman: I'm Dr. Diana Zuckerman and I'm President of the National Center for
12 Health Research. We're a patient-centered public health think tank, that has been on the
13 forefront of advocacy for diversity in clinical trials, and for safe and effective medical products.
14 We do not accept funding from companies that make the products that we evaluate. So we have
15 no conflicts of interest. We've heard great presentations today, focused on important strategies
16 to enhance equity. And I'm going to focus on a more basic issue, ensuring that all patients can
17 make informed decisions about medical devices.

18 I'm speaking today as a scientist and as a patient. When I started working on these
19 issues, I was a healthy young scientist. I now have three medical implants in my body. And
20 they've all been great, but in all three cases, there was no publicly available research studies
21 that enabled me to make an informed decision about which implant to get, and even today,
22 there are no long-term data to help me make informed decisions for the future.

23 I'll briefly describe a study we published almost five years ago, entitled Diversity in
24 Medical Device Clinical Trials. Do we know what works for which patients? We studied all 22

1 high risk devices that FDA approved over a recent 4-year period. Only three of the 22 devices
2 studied the impact of age, sex, and race, on safety and effectiveness. And just over half of these
3 22 studies, for 22 devices, reported safety and effectiveness for at least 1 demographic group,
4 which was usually sex, but whether they reported them or not, the number of patients,
5 especially patients of color and people over 65, that number was usually too small to provide
6 any meaningful information. In some cases, there were just one or two patients in that group.
7 So, for example, some devices were approved for all adults, even though the oldest adults
8 studied were 65 years old. And when they were reported, they were reported to FDA advisory
9 committees, but they were not put on the label that physicians and patients have access to.

10 So, why does this matter? Number one, there were just too few Black patients in these
11 studies, or any people of color, to draw conclusions. If there were five, perhaps 10, usually
12 fewer, the percentage doesn't matter. You just can't draw conclusions. You cannot generalize
13 from such small numbers of people. A drug-coated balloon catheter used for blockages in legs.
14 The men did better than placebo in that study, who had a balloon catheter that did not have
15 drugs on it, the placebo group of women did better than they did in the new drug-coated
16 catheter group. And it was quite a big difference, a difference between 70 percent success rate
17 with placebo, versus 51 percent for the new device, for U. S. women. And that information was
18 not put on the label and therefore not easily available. Another product was a test for colon
19 cancer, that had 16 percent false positives for people in their 50s, which is not great, but they
20 had a 26 percent false positive rates for people over 69. Again, people want to know which test
21 is going to be best for them. Do any of us believe that it was impossible to find more than two
22 or three or even 10 people of color, or people over 65, to study for these potentially life-saving
23 devices? In conclusion, the companies need to do a better job, and we've heard today that they
24 are trying, but the FDA can also do more. FDA is the only HHS agency that does not require

1 diversity in clinical trials, but even if they don't require diversity, why are they approving high
2 risk devices for all adults, that were not studied on people of color or adults over the age of 65
3 or 66? There are plenty of us to study, and I hope that you on this very important advisory
4 committee will urge the FDA to ensure that all of us are studied, so that we all can make
5 informed decisions. Thank you very much.

6 Mr. Conway: Thank you. Let me go ahead and pose a question to the FDA technical team. We
7 had one speaker that dropped due to technology. Is she back?

8 Mr. Veizis: She unfortunately could not make it. She lost power. So she's unfortunately,
9 couldn't be able to make it.

10 Mr. Conway: Okay. Thank you very much, I appreciate it. I'd like to thank all of today's Open
11 Public Hearing speakers. We very much appreciate your willingness to share your perspectives
12 with us, and the amount of time it takes you personally to be ready to participate with us. I now
13 pronounce the Open Public Hearing to be officially closed. We will proceed with today's
14 agenda. We will now have open committee discussion and clarifying questions from the
15 committee. As a reminder, although this portion is open to public observers, public attendees
16 may not participate except at the specific request of the committee chair. Additionally, we
17 request that all persons who are asked to speak identify themselves each time. This helps the
18 transcriptionist identify the speakers. So let us go ahead and begin. And what I'll ask our
19 committee members here, is to direct specific questions to specific speakers, given the amount
20 of time that we have, where possible. So does anyone have any clarifying speakers for the Open
21 Public Hearing speakers? Go ahead and raise your hand, and I'll recognize you. Why don't we
22 go ahead and start with Teresa Diaz. Go right ahead, Teresa.

1 **Q & A for Public Speakers**

2 Ms. Diaz: Teresa Diaz. I have a question from Madris. You were talking about the data and
3 collecting it, and how they could retract it. Would that data be, is there insurances for safety for
4 HIPAA, or is it just general data that you would be collecting?

5 Ms. Kinard: Yes, so this is Madris Kinard. Can you hear me? Okay. Thank you for your
6 question. The data that I had requested to be released, one of the reasons that it wasn't released
7 previously was because there were fewer number of adverse events, and there was thought that
8 you could potentially identify an individual based on the information in the report. With that
9 being said, hospital names, doctors' names, patient names, those are all redacted and would
10 continue to be redacted. The data that would become available is a field that says age, a field
11 that says sex, and then perhaps some demographic information, although the FDA has changed
12 what they're collecting there, in recent years. But at least the sex and age information I think is
13 crucial. And then as we move forward, and the FDA has better data on the demographics, that,
14 perhaps they find a way to release that as well. It's not a HIPAA issue. It, as long as you don't
15 have you know, you have 17 million reports at this point, so it would be very difficult to
16 individually identify a patient.

17 Mr. Conway: Great. Thank you. Dave White.

18 Mr. White: This is Dave White. My question's for Keith Dreyer. You mentioned that many
19 software vendors were very willing to share information regarding the specifics of their
20 algorithms. I was wondering if you could be a little more specific as to perhaps a percentage of
21 the vendors who are willing?

22 Mr. Dreyer: Yeah, this is Keith Dreyer from the American College of Radiology's Data
23 Science Institute. Thanks for the question. With this, the effort of reaching out to vendors
24 directly, started about 30 days ago. So, we started with the vendors that had the most published

1 algorithms. So, some are in the seventies and, you know, the bulk are in the one or twos. So, I'd
2 say we reached probably the manufacturers of about 100 of the 300 algorithms that we're
3 looking for. And those hundred were eager to participate. There was some pause, in the fact of
4 questioning whether some of the components of what we were asking for was intellectual
5 property, but they seem to find clearance through their general counsel. So, I can't say that
6 we're going to get 100 percent support from the industry, but it seems so far like it's pretty high.
7 We've had nobody say no, as of yet, if that helps.

8 Mr. White: Thank you.

9 Mr. Dreyer: Sure.

10 Mr. Conway: Great. Thank you. Any other questions from the committee members to
11 individual public speakers? Okay, not seeing any. We'll go ahead and take a 10-minute break.
12 Committee members, please do not discuss the meeting topic during the break, amongst
13 yourselves or with any virtual member of the audience. The meeting will reconvene at 3:22. At
14 that time, we will proceed with committee discussions of the FDA questions. Thank you.

15 **Committee Discussion of FDA Questions**

16 Mr. Conway: It's now 3:23 PM, and I'd like to go ahead and resume this committee meeting. At
17 this time, let us focus our discussion on questions from the FDA. Committee members, copies of
18 the questions are included in the materials you were previously provided. I'd like to ask that each
19 committee member identify themselves each time they speak to facilitate the transcription. I'd
20 like to remind members of the committee that this meeting is classified as a particular matter of
21 general applicability, because the issue to be discussed by the committee is a particular matter
22 that is focused on the interest of a discrete and identifiable class of products, but does not involve
23 specific parties or products. I would like to remind public observers at this meeting that while

1 this meeting is open for public observation, public attendees may not participate, except at the
2 specific request of the committee chair. At this time, I would like to ask the FDA to please read
3 the questions. Commander Chinyelum Olele please go ahead and proceed.

4 **Question One**

5 Commander Olele: Commander Olele for FDA. Question one. With changes in technology
6 and healthcare, medical technologies are increasingly being used outside of clinical care settings,
7 such as in the home. Increasing patient access to healthcare, prevention, and wellness through the
8 use of such medical technologies, particularly, those with digital capabilities may benefit patients
9 by bringing healthcare directly to patients wherever they are - at home, at work, in cities, in rural
10 communities - which has the potential to help bridge gaps in health equity. The FDA is
11 committed to facilitating access to medical devices designed to be safe and effective when used
12 outside of clinical settings while reducing and mitigating problems that can occur in the home
13 environment.

14 A. Some technologies currently used in healthcare settings could be adapted for use and
15 other settings with design changes, additional training, or instructions for a patient and/or
16 caregiver or other modifications. As a patient, what information would you want to know to feel
17 comfortable using a medical device in a nonclinical care setting?

18 B. Certain diseases or conditions may have diagnostic and/or treatment options or other
19 aspects of care that are amenable to moving outside of a healthcare setting. This may include
20 transitioning to over the counter access or involve monitoring or periodic visits with a healthcare
21 professional to ensure appropriate use and treatment adherence. What diseases or conditions or
22 aspects of care for certain patient populations may warrant consideration due to the potential for
23 a large benefit from having medical technology that can be used outside a healthcare setting (for
24 example: at home, work, school)?

1 C. What actions could be taken by industry or the FDA to facilitate patient access to
2 medical devices designed to be safe and effective outside the clinic setting?

3 D. The home setting could be an environment to support wellness and prevention as well
4 as for clinical care and evidence generation for clinical investigations. What actions could be
5 taken by the FDA and industry to establish such an environment that meets the needs and
6 provides the experience expected of patients and consumers to support the integration of medical
7 technologies in the home setting?

8 Consider the following. Number one, experiences patients and consumers will want in
9 such an environment. Two, the key features of such an environment for patients and consumers
10 to have that experience. That ends question one.

11 Mr. Conway: Great. Thank you very much Commander. So for my fellow committee members
12 here, what I'd ask you to do is be as direct as possible in responding to it and let me know if
13 there's a particular part that you're responding to, and if you're not responding to another part.
14 Because we will do a summarization of this, of each question for the FDA that we need to pose
15 back. Why don't we go ahead and start with Teresa Diaz. Go right ahead, Teresa.

16 Ms. Diaz: This is Teresa Diaz. I am responding to question 1A. As a patient, the main thing
17 that I would want to know, this is answering the FDA's question, would be exactly what the
18 device does. Total informed consent, education about it, what could be some adverse events from
19 this product, and I would love to have hands-on training for that product. I think that would be
20 really important, so there's no user error. And if there is something that goes wrong with the
21 product or with how it is affecting the patient, where do they report that adverse event to?

22 Mr. Conway: Great. Thank you very much. Why don't we go to Necie Edwards. Go ahead
23 Necie.

1 Ms. Edwards: Necie Edwards, I'm addressing question number one. So as a patient, some of the
2 things that will make me feel comfortable using a medical device in a nonclinical care setting is
3 as follows. Number one, some devices that are wearable, the materials that they are made of,
4 some of them do sometimes do carry a scent with them. I've used a device that was great. One
5 problem, I couldn't continue to use it because of the odor, the way that it smelled. The more I
6 used it, it gave me migraines and I could not get any assistance in terms of how to properly use
7 it, how to eliminate that odor.

8 The other thing is, how do you properly dispose of the device? That would be something
9 that's very important to me for medical devices. Due to age, wear and tear, you need to properly
10 dispose of them. And how do I get help if I have any questions or problems? And where to go to
11 purchase the supplies that I may need. Thank you.

12 Mr. Conway: Great. Thank you very much, Necie. Dr. Elizabeth Joniak- Grant.

13 Dr. Joniak-Grant: Hi, Elizabeth Joniak-Grant. This is for 1A. To be comfortable with using
14 something, I would want to know the potential disadvantages of using in a nonclinical care
15 setting, especially if these are additional risks. I would want to know requirements depending on
16 the device obviously, voltage, internet, computer, mobile, tablet capabilities. What to do if the
17 power goes out, what to do if the internet goes out and I need one of those. How to maintain,
18 keep it clean. I'd like to know if there are certain things like blinking lights or shifts and sounds
19 that may look problematic, but are actually okay, and those that are not okay. I've had devices
20 and I'm standing there going, is this what it's supposed to be doing or not? I don't know. Is it
21 working correctly? I would like a direct phone number to a person in case of a malfunction or
22 potential emergency situation. This would be very much a case if it's a life-sustaining equipment
23 or to reach someone after hours.

1 I'd also like to know how to do initial setup. But how do you set up if you move? A lot of
2 times, devices will say, okay, here's your initial setup, but then if you go and you travel or you go
3 to stay overnight at someone's home and you have to take the device, there isn't a way to say, do
4 you go through this whole initial setup again? Is it different? Is it abbreviated? How does that
5 work? I'd want to know if there were concerns related to calibration. How frequently it had to be
6 serviced, where to get parts, and I would want to know, for lack of a better word, device
7 ingredients for any type of allergies. For example, I have reactions to stainless steel, so to know
8 what some of the components are perhaps to avoid allergic reactions. And finally, I would like to
9 know the characteristics of users that were included in any home-use trials to see how I would fit
10 into those groups. Thank you.

11 Mr. Conway: Great. Thank you very much. Let me go over to Ella Balasa. Go right ahead, Ella.

12 Ms. Balasa: Hello, this is Ella Balasa. I have a comment on 1A. I would, as a patient, want to
13 be able to have 24-hour support for troubleshooting or other issues that arise when I'm using a
14 medical device at home. I would also, along those lines, want to have, and others said this as
15 well, but appropriate training. Especially dependent on the level of invasiveness or complexity of
16 the device would depend on what type and what level of training and depth that would be needed
17 and I want to make sure that I would feel comfortable with that.

18 And then to answer 1C, what actions can be taken for patients to feel safe and have that
19 be effective outside the clinical setting. Again, with training, I think that must be provided.
20 Personnel should be provided that can have this training in the home setting for supporting
21 patients and making sure that they feel comfortable and having that available when needed. And
22 to come out and have troubleshooting or other issues that arise is a really important component.

23 Mr. Conway: Great. Thank you very much. Dave White. Go right ahead.

1 Mr. White: Dave White answering question 1A as well. I would want to know if clinical
2 outcomes are enhanced or reduced when the device is used in a nonclinical setting. To follow up
3 on Ella's comment regarding 24/7 support, I'd like to know not only is it available, but that it
4 works. In my experience using an overnight cyclor, that wasn't always the case. I'd like to know
5 how the device will change my home environment space needs. Again, disposing of used
6 materials and social context of perceptions of other people as well as self-perception. Of data
7 security, what happens to the data points that are being generated at home when they travel from
8 point A to point X? What happens to the data when it arrives? And who has access to it? We've
9 had presenters from all of us, Yale School of Medicine and CISCRP that are obviously using best
10 practices, but what about the rest of industry? I'm not so sure. I want my data to be secure at
11 every stop and between every stop. I'd like to know how reversible the decision to switch to a
12 home therapy is and how difficult switching back to the original treatment would be. Because
13 I've experienced being happy and then unhappy with a home therapy but having the option to go
14 back to my original therapy and I really appreciated that.

15 Mr. Conway: Great. Thank you very much, Dave. Rita Roy, go right ahead. Go ahead, Doc.

16 Dr. Roy: Yes. Hello. I'm responding to question 1A, and as a patient with devices implants
17 in me, I think the most important thing that I think would be helpful would be to know outside of
18 a clinical setting to have assurance that if there were some recall or safety notice with the device
19 that I could be assured that I could find that out. And I know the UDI codes, we heard about that
20 today, but I think there's still a lot of confusion around knowing exactly what's been put into your
21 body and how to stay abreast of information as that becomes available.

22 Mr. Conway: Great. Thank you. Dr. Wolf.

23 Dr. Wolf: Thank you. This is Michael Wolf. Responding probably to both 1A and 1D,
24 probably fits in the middle, but I agree with everything that's been said, so I don't want to be

1 redundant. But one thing in our research that we've noted when we try to implement from a
2 health system perspective, devices to patients, is providing information regarding. And I think
3 this was somewhat touched on earlier about if this is a tether to clinical care and at what
4 frequency might your care team, if they are actually viewing this data, how often they're looking
5 at it. Because patients sometimes have had experiences with us telling us that they have
6 expectations that because they're being monitored in such a way, or they think that this is being
7 shared with their care team, when maybe it's not. Or that the expectations from the care team is
8 that they are monitoring it more closely than they may. And so, just how the data is getting to
9 whoever, if it is being shared, how often and how frequent it's being shared, I think is a pretty
10 important thing to level expectations.

11 Mr. Conway: Great. Thank you very much, Doc. Amy Sitapati. Go right ahead.

12 Dr. Sitapati: Hi, thank you. Amy Sitapati. I'm responding to question one starting with B. In
13 terms of disease and conditions, I would encourage acute care at home as an important highlight
14 as well as rare conditions with complex impact requiring devices at home that are of acute
15 nature.

16 In terms of 1C, patient access. I would say cost is universally the biggest barrier to
17 access, so very important to consider. And then 1A, and perhaps C, in terms of the overall actions
18 that we could have, timeliness, usability, diverse settings and spaces, limited health literacy, 24/7
19 requirements, feedback loops, handoffs, and the need for spare parts at home.

20 Mr. Conway: Great. Thank you very much. Ian Burkhart, go right ahead.

21 Mr. Burkhart: Hi, this is Ian Burkhart. I first want to echo what Dr. Wolf said about the fact that
22 if patients are being told that data is being tracked, they generally are under the assumption that
23 someone is reviewing that data. And that can cause some miscommunication between patients
24 and their care team. Additionally, I want to address section 1C, and I would want to be assured

Commented [WL6]: I think this was "Overall"

1 that individuals who are the intended users of these devices were consulted during the
2 development, knowing that it was designed for patients like myself. And that I will be able to
3 easily use it and that any kind of problems that are foreseen have probably already been worked
4 out. And I'm not going to be the guinea pig testing it once it's been cleared.

5 Mr. Conway: Great. Thank you very much, Ian. Dr. Fischer.

6 Dr. Fischer: This is Gwen Fischer. I'm replying to question 1B, 1C, and 1D as from the
7 perspective of a provider. For 1B, speaking as a provider, we would like access to home or over
8 the counter diagnostics for common infections. Specifically ones that are frequently seen in
9 clinic and the ER and are a high time and cost burden in the ER, in particular. Examples of these
10 would be influenza and strep throat diagnostics that are available to home or over the counter for
11 patients. Providers believe that this will decrease barriers to diagnosis for patients and families
12 and also increase access to care for these fairly common diseases for patients.

13 Regarding 1C, patient and provider access to data from post-market trials that is easily
14 accessible and possibly sent directly to providers so that they're aware of any signals that are
15 appearing in post-market trials for medical devices at home. And secondly, working in parallel
16 with CMS to ensure appropriate coding for providers to manage home devices via telehealth
17 visits. This is a current barrier for being able to work with patients at home, that there's not an
18 easy way sometimes to bill or code for those visits.

19 And then finally, 1D, actions that the FDA could take ensuring data is both accessible and
20 also secure for the patient, but making sure that it is patient-owned data as well as provider-
21 accessible. Thank you.

22 Mr. Conway: Thank you very much, Dr. Peters.

23 Dr. Peters: Yes, this is Dr. Anne Peters, and I'm responding to questions A and C from the
24 perspective of a provider. So, everyone said great things, but when I have patients who call who

1 need help, not only do they need someone who speaks their language, they need to speak to
2 someone who understands their educational level and background. So, they speak to the patient
3 in a way the patient can understand, and that helps ensure device safety.

4 For 1C, the biggest problem I have is with access to continued supplies for my patients.
5 So, they end up getting a prior auth, they can have something for a month, whatever it is runs
6 out, a continuous glucose monitor sensor, whatever. Then they run out of supplies before the end
7 of the month or the prior auth didn't work. And then they end up with a week or two of a gap
8 when they can't use the device. And that's really hard on people who've grown to depend on the
9 device and we've taught how to use the device. So, we need to have continued safe access to
10 device supplies.

11 Mr. Conway: Great. Thank you very much. Dr. Wilcox.

12 Dr. Wilcox: Yes. So, I'm responding primarily to 1C. So, what can the FDA or industry do? I
13 think we have one interesting model and that's implanted defibrillators. The implanted
14 defibrillators communicate to the cloud, and therefore, to the physician who can make remote
15 changes in the device, and it's essentially plug and play. And I think that's the model. The
16 problem is there's a trade off between the infrastructure necessary for that sort of thing and ease
17 of use, you can make it really easy to use if you do that. If you have remote access, remote data,
18 and remote control, but that requires an infrastructure. So, maybe one thing that could happen is
19 to start defining part of that infrastructure, that wireless infrastructure whatever is required, as
20 part of the medical device. So that Medicaid, Medicare, or whatever could pay for part of the
21 necessary infrastructure as well as the device per se.

22 Mr. Conway: Got it. Thank you very much, Doc. Let me move to Naveena Yanamala. Go
23 ahead, Naveena

1 Dr. Yanamala: Dr. Naveena Yanamala, I'm responding to 1C and 1D. First, I'll respond to 1C. I
2 think that the enhanced post-market surveillance is very important because sometimes medical
3 devices that are definitely designed for home-use device or home-use application often are
4 reported with primary outcomes and primary benefits and risks. Once they are released into the
5 market, there are secondary outcomes as well as other risks that may be identified in the
6 community. So having real time information of half the manufacturers maintaining the data and
7 regulatory agencies reporting that data back to the patients for transparency would be a great idea
8 for enhanced surveillance and enhancing the trust for use.

9 And for 1D, there was this recent study that we were doing where we had a digital scale
10 combined with a cuff blood pressure monitor together with a bio vital 24/7 monitoring system of
11 all the five bio vitals. And interoperability has been a major hurdle when you are actually
12 monitoring patients at home. And these are acute high-risk patients, as Dr. Sitapati was
13 mentioning, heart failure patients and cardiology. So how do we ensure interoperability when
14 multiple devices are being used at home for chronic or acute management is another question to
15 be considered for 1D in home settings. Thank you.

16 Mr. Conway: Thank you very much. Dr. James, go right ahead.

17 Dr. James: Hi, Jijo James presenting an industry perspective, and I'd like to respond to
18 BCND. I think in addition to what Dr. Fischer mentioned, there are opportunities beyond
19 respiratory devices to even think about diagnostic tests that carry social stigma and are invasive
20 for a patient, could be related to STDs. Also Improve provides important access for patients who
21 may be uncomfortable in a traditional medical setting. A remote specimen collection provides a
22 major opportunity for providing care to rural populations who may otherwise be unwilling to
23 travel or participate in a traditional healthcare setting. And we could also be looking at
24 medication delivery, of course, depending on the medication and medication management. And

1 this may be appropriate if coupled with digital health tools and there could be significant benefits
2 here because improved adherence can improve outcomes and mitigating risk of incorrect drugs.
3 A dosage could also save lives.

4 Moving on to C, talking about what the agency could do to facilitate access, I think it is
5 laudable that CDRH has made advancing health equity one of the centers three priorities for
6 2022 to 2025. How would they accomplish this on their own? They require to be able to
7 collaborate with agencies like HHS, CDC, CMS and NIH, and we would encourage the FDA to
8 do that.

9 Secondly, it would be helpful to develop device-specific guidance that could help
10 transition a product's use setting and this should hopefully be risk-based and the requirements for
11 bridging data need to be clear out here. There could be opportunities to leverage existing post-
12 market authorities in lieu of certain pre-market clinical study requirements to facilitate the use of
13 post-market surveillance and real-world data and real-world evidence can also provide additional
14 evidence to support the risk-benefit profile.

15 And lastly, when we talk about the home setting, again, I think effective care at home
16 requires, as we've heard all day, a strong understanding of the end user. And not just the end user
17 in terms of the patient, but the caregiver and the traveling health provider as well. So, we need
18 clear and consistent expectations for moving the device from prescription use to OTC, and there
19 needs to be a balance that such guidance is not overly prescriptive, but allows for technology
20 advancements in regulatory agility. We should also consider the potential for telehealth visits to
21 provide physician oversight for product use. That's if needed. And also think about, as we think
22 about stakeholders, encourage all stakeholders to think about this flexibility. For example,
23 education could be provided through an app or video, quick reference guides may contain non-
24 mobile instructions to support users of various backgrounds and literacy levels. And lastly, I'd

1 say as we've heard today, the agency should consider cybersecurity, digital integrity, patient
2 safety, and adequate technical support as we look at this transition.

3 Mr. Conway: Great, thank you very much, Dr. James. Dr. Wilcox.

4 Dr. Wilcox: I would like to say one thing though and that is just to the question, I believe it
5 would be B, which syndromes might lend themselves to moving out of the hospital? I think my
6 feeling about that is that they tend to be chronic diseases as opposed to acute. Nobody's going to
7 expect to do home surgery, but in just about every chronic disease, it seems to me, ought to lend
8 itself to some form of automation. Now, another thing the FDA specifically could do is to put
9 more pressure on us in industry to automate components of the devices. Right now, there's a lot
10 of pressure to make sure that there are not use errors. We go to great lengths to make sure there
11 aren't use errors, but we don't get too much pressure back from the agency to say, not only do
12 you have to get rid of use errors, but why haven't you automated components X, Y, and Z? As we
13 move into this digital age, I think that's going to be more and more feasible.

14 Mr. Conway: Great. Thank you very much. And Dr. Joniak-Grant, go right ahead.

15 Dr. Joniak-Grant: Speaking to 1B, the diseases and conditions, I would say that patients who
16 have diseases that make getting to a healthcare provider especially difficult, particularly pain
17 conditions or conditions that impact their sensories, functionality, or where management and
18 treatment is especially a time-consuming process. Also, diseases and conditions that have long-
19 term management. And to echo what someone else mentioned, but this time from the patient
20 perspective, communicable diseases that are really common and straightforward to treat. With
21 young kids at home, I am forever traipsing to the doctor for a strep test to say, yep, that's what it
22 is, yep, get the prescription. So, if there were ways to do those at home, that would be well worth
23 it.

1 Speaking to 1C, I think things to facilitate access that industry could do is working to
2 have these devices not be cost prohibitive. And ease of use, disseminating information to
3 healthcare providers, not just regarding that the device exists and what it does, but, which
4 patients could benefit the most from it. How to obtain it. Being transparent about benefits and
5 risks and working with insurance coverage and working with healthcare providers to figure out
6 how to manage that.

7 In terms of what FDA could do, I think a lot of it involves working with insurance and
8 Medicare to clearly communicate FDA approval and the benefits of in-home use. I know I have a
9 device that my insurance supposedly covers, but they only cover it from a specific manufacturer.
10 That manufacturer does not actually make this device, so I can't get it through my insurance. And
11 I'm sure we've all experienced lots of pieces like that. Another thing I think FDA could do is
12 educate patients regarding their options for in-home devices with web pages, maybe pamphlets
13 with healthcare providers, but to just start getting some of the information out there that these
14 things do exist and are options.

15 Mr. Conway: Great. Thank you very much. Not seeing another hand raised. I'll go ahead and
16 attempt a response to FDA with the richness of the answers that we have here. FDA, in regard to
17 question number one, let me go ahead and answer this by section to give you highlights for
18 tracking if you can. So, we heard several different things in response to part A in terms of what
19 makes patients feel comfortable. Several different themes emerged off of that. Data access, data
20 security, who owns the data. Types of training and how that scales with complexity of the
21 devices. Who do you call when it's not working? And who do you get? Do you get an 800
22 number? Do you get a live person? And what does that exactly look like? And then there are a
23 whole series of other issues that are of great importance as well. In terms of the composition of
24 what a device looks like, what's it made out of? What are the components that are in it? Do those

1 pose a risk? And then how do you dispose of it? Where do you get supplies on the logistics side?
2 So you get used to a device and you need to be able to keep using it. How accessible are those?
3 And then probably just as importantly an overall theme across a lot of these issues is what are the
4 disadvantages in addition to what the advantages are? And are those clearly stated if it is being
5 contemplated for something that's at home? What does it do? Does it have informed consent?
6 How do folks close the loop on issues of safety at the practical level? What does it sound like?
7 What does an alarm do? Is it real or is it not? What do people have to react to? In terms of
8 clinical outcomes, how does it work? Is it enhancing what patients are already going through?
9 How does that work with your care team? And then some of the other things that were mentioned
10 is the clarity of instruction, how well the training is, and things of that sort. And let me go ahead
11 and I'll do this section by section, then I'll come back around and ask if that's adequate to FDA.
12 Okay?

13 Under section B, other types of diseases and conditions, other aspects of illness, several
14 were recommended. A general sense that, in terms of acute conditions, it would be very good to
15 do that. In some of the examples that were given ran the gambit of influenza. Some of the other
16 issues that were raised were about chronic care, ongoing, and then, in particular, issues that
17 patients might see as invasive to them if they had to go to a healthcare setting or to interact with
18 a healthcare professional. STDs were mentioned. Specimen collection, especially for patients
19 that lived in remote areas, possible management of medications. And whether or not from the
20 over the counter aspect could it work to leverage other digital tools or digital tools that patients
21 are using. And again, communicable diseases was hit on. Some of the other disease types that
22 were mentioned were those that dealt with pain and chronic pain management and sensory
23 issues. And then a final thought are diseases and conditions that require long term management.

1 Under Section C, actions by industry and FDA to facilitate access. Some of these issues
2 actually cross back and forth with FDA and industry. But in terms of FDA you heard several
3 different themes regarding payment issues in coordination with other federal agencies, in
4 particular CMS. You also heard the use of other data or evidence, such as real-world evidence.
5 Better efforts to identify what the risk-benefit ratio is, based on patients. I think Ian made a very
6 important point, as a patient. Are they designed for the particular patient that they're going into
7 and was that at the front end or does the patient feel like, with this particular device they may be
8 a guinea pig in a test and it's not well sounded out with the target population? Patient access to
9 post-trial signals in data so they can keep making informed decisions about the use of it at home
10 for confidence. Appropriate coding. You heard that from a provider perspective. And again, this
11 goes to ease of use for patients, but also particularly providers to make things available for
12 payment issues to patients. Dr. Wilcox offered an interesting model in terms of defibrillators and
13 how they can be adjusted remotely. And made the suggestion that infrastructure in particular
14 beyond merely the device, but the infrastructure within which the device works might also be
15 included in terms of what gets reviewed or approved by FDA. And again, another point that hit
16 here was post-market surveillance in primary and secondary outcomes. Yanamala raised that
17 point as an issue of trust. On the industry side, it was very important to a number of folks of who
18 benefits from it. What is the trade-off? The cost of it. Industry could do more to reduce the cost.
19 Dr. Wilcox actually made the point that FDA should, as much as they pressure to deal with error
20 information, also insist that industry automate and be clear on how their automation processes
21 work. That to feel safe, from the patient side of it, and I think consensus of the committee is that
22 how things get troubleshooted. That's something that industry could be clear about. They could
23 be clearer about issues regarding literacy and the timeliness of responsiveness. If there is an issue

1 how it gets handed off. Devices that industry has a role in saying that in terms of care and also
2 access to spare parts was a key issue.

3 Under Section D, in terms of use for wellness, prevention, and evidence. On the industry
4 side, I think these are issues that go back and forth between industry and FDA. How is it tied to
5 an overall care team in the other care plans that a patient may be under? How is data accessible?
6 Is data patient-owned, and provider-accessible, I think was a key point that was made and FDA
7 clearly has a role in that. Dr. Yanamala also talked about interoperability and risk to patients in
8 how that is considered both by FDA and industry. Understanding how care is given in a practical
9 setting. And then some of the other issues that were raised for D in terms of use for wellness and
10 prevention and evidence is anticipating how it would work or how telehealth ties into it, the use
11 of apps, and also the overall issue of literacy. So I'll stop there and pose a question to FDA that's
12 a combination of general beliefs and concerns. FDA, Is this adequate?

13 Dr. Faris: Hi, this is Owen Faris. I'd like to thank the panel for an incredibly thoughtful
14 discussion and for all of the helpful comments and suggestions. And thank you, Chairman, for a
15 really great summary of all of those thoughts and ideas. I think we have what we need for
16 question one.

17 **Question Two**

18 Mr. Conway: Great. Thank you very much. I'll ask Commander to go ahead and read question
19 two.

20 Commander Olele: Commander Olele for FDA. In certain device areas, a subpopulation of
21 users may respond to a medical device differently than another subpopulation. Intentional design
22 of the device with the user in mind, appropriate human factors and usability testing in clinical
23 study evidence can play an important role in ensuring medical devices are developed and
24 perform as intended to meet the needs of potential users.

1 A, with the end users in mind, what aspects should the FDA and industry consider during
2 medical device design and evaluation to confirm devices can be safely and effectively used by all
3 potential users, particularly in the home-use setting. Consider the following.

4 One, ability for individuals with reduced functional capabilities (physical, sensory and
5 cognitive) to safely and effectively use the device.

6 Two, ability for individuals with limited health literacy to understand how to safely and
7 effectively use the device.

8 Three, technology aspects that may limit or impact safe and effective device use in
9 certain populations or locations (for example, broadband Internet requirements in rural settings).

10 Four, other aspects of the home environment that may limit or impact safe and effective
11 device use (for example, presence of children or pets, access to caregiver assistance, ability to
12 travel).

13 Five, elements of the device-user interface that may limit or impact safe and effective
14 device use (for example, controls, visual displays, alarms, labeling, training).

15 Six, conditions or diseases where current scientific knowledge suggests we might
16 anticipate meaningful differences and overall benefit-risk profile among diverse groups of
17 patients (for example, where treatment A is better in one group and treatment B is better in
18 another group).

19 B, the evidence from clinical studies that include diverse cohorts of patients may be
20 necessary to determine whether or not that device is safe and effective in support of market
21 authorization. In some cases, this may result in larger, longer studies, which could delay access
22 to a new technology. The FDA is considering three main principles - inclusivity, data
23 generalizability, and timely access - to guide its determination of when such studies may be
24 necessary to support market authorization.

1 Number one, do these principles reflect what is most important to patients?

2 Number two. Are there additional principles that FDA should consider? Please explain
3 your response. This ends question two.

4 Mr. Conway: Great. Thank you very much, Commander. I tell you what, this is a very important
5 question given the conversations that we had today and the presentations as well. So what I'd like
6 to do is go ahead and break this into two pieces and if we can, just let me know what you're
7 responding to in section A, and then the subheaders for that. Or if you're taking a look at the
8 second part of it, or I can come back around and do a second round on question B so you guys
9 are focused on that. But let me go ahead and start and we'll go ahead and start with Dr. Wolf. Go
10 right ahead, Doc.

11 Dr. Wolf: Yeah, this is Michael Wolf. I'll be, again, very abbreviated, make sure that I don't
12 take too much time. But first off, responding to 2A and from my own background, I've done a lot
13 of work on how do you optimize health information and instructions for patients with limited
14 health literacy. And there's a lot of evidence-based practices that the FDA, at least my familiarity
15 with the work that they've done really well in the prescription medication space. In other aspects
16 of the FDA, I think there's a lot known on how to optimize that to address that diverse audience.
17 And I don't want to belabor that point, but I think it's oftentimes implementation that is
18 challenging. And what can be a part of the industry to ensure in the design and evaluation of
19 medical devices in the same way that other products that are regulated by the FDA, that I think
20 there's a lot that could be leveraged that wouldn't require reinventing the wheel here.

21 For part B, I think the big question I ask about, the larger studies would be there's a lot of
22 talk about fit for use of real-world data and evidence that you're getting from electronic health
23 workers and whatnot. I don't know the quality of that same level of evidence and what possibly
24 could the FDA do to ensure that you're getting the best data possible to understand in the post-

1 market surveillance space how people are experiencing medical devices. Because I think to a
2 point, you can only go so far in the development before really needing to understand how diverse
3 groups are experiencing the products and where there may be need for constant improvement. So
4 I'll stop there.

5 Mr. Conway: Great. Thank you very much, Doc. Necie Edwards, go right ahead.

6 Ms. Edwards: Necie Edwards, and I am responding to question 2A-1, two, and three, starting
7 with number one. The first thing that comes to my mind is utilizing health literacy flashcards.
8 They could be four by five, colorful picture, with the steps to make it for people to better
9 understand visually.

10 Number two, also there should be some type of simple video that takes into account
11 reading levels, grade levels.

12 Question 2A-3, technology aspects. There are some medical devices, for example, in a
13 healthcare clinician's office that, let's say you're in a rural area, you're in a remote area, the signal
14 is not all that great. Some of those devices, the manufacturers, I know several, have added a
15 signal booster so that the clinicians can get the access that they need. So, when you think about
16 these medical devices that patients are using, I think the manufacturers need to consider the zip
17 code of various populations where people live. Because in some of these communities that have
18 low Wi-Fi or broadband where they can't get a signal, add a separate piece to that to help boost
19 the signal so people can get the signal that they need. Thank you.

20 Mr. Conway: Great. Thank you. Dr. Wilcox.

21 Dr. Wilcox: As far as I'm concerned, this is by far the most daunting of these questions. Let
22 me give a quick example, let's say we're doing a study of a device for diabetes. So, we would
23 typically have maybe five separate groups or so, and each which creates an X number of cells.
24 So we end up having to test maybe 90 people for the validation research for our submission.

1 Now, the scary part is ethnicity. We're finding more and more examples where something works,
2 say, with people with a European heritage, but it doesn't work so well with, let's say, Asians or
3 African Americans. So, what are we going to do about that? That's really the scary question,
4 because you could easily define five ethnic groups. So, multiply that 90, we started with a study
5 of 90 people, now we've got a study of 450 people and the cost and the time goes up enormously,
6 and also the timing for recruiting. By the way, some of these are really difficult to recruit,
7 especially because we normally want to use real patients. The recruiting time can go up
8 dramatically, particularly for more unusual, more rare diseases. So, what are we going to do? A
9 study with 450 folks for an Abbott or a J&J is not necessarily a big deal, but for a startup, it's got
10 some great new technology, they can really make it different. So, I'm thinking one of the things
11 we ought to be doing that we're not particularly doing now is recording the results by race. Just
12 break it down by race or ethnicity and start looking to see if we're seeing any differences. And if
13 we do, then go back to a larger sample size to look more carefully at those kinds of issues. That's
14 one thing FDA could do immediately is start requiring the recording of ethnic data and looking at
15 those results would probably require a modestly larger sample size, but not necessarily
16 multiplying it by five, like my example.

17 Mr. Conway: Great. Thank you, Dr. Wilcox. Amy Sitapati.

18 Dr. Sitapati: Hi, Amy Sitapati. My comments will be for 2A, small one, small two, and B. As a
19 physician, patient, and caregiver living with neuropathy, who uses bifocals, I would just ask
20 when we're talking about abilities, sight is really important. Also dexterity having the hand
21 dexterity just for some of the basic function. And sound, especially for those who might have
22 advanced aging as well, since it's very common to have loss of hearing. So, those are really
23 fundamental abilities to consider in the device use. I think also with the health literacy questions,
24 so this is 2A little two, again literacy, but also linguistic inclusion. I think sometimes we can find

1 ways to have images and pictures included that help persons with limited ability to understand
2 English and Spanish if they can't be on the display.

3 And then for the question 2B on evidence, I would ask the FDA to consider post-market
4 invasive device longitudinal opt-in data sharing as a method to have transparent access to data
5 and deidentify data sets via registries or something of the sort.

6 Mr. Conway: Great. Thank you very much. Dr. Peters.

7 Dr. Peters: Hi. Yes, this is Dr. Ann Peters and I'm going to discuss. 2A-1 and five, and then
8 2B. So first off, in terms of looking at individuals who will use these devices, be sure to always
9 consider the caregivers. Because caregivers are really important for kids, for disabled adults, and
10 then as people get older, it's often not the person themselves using the device, it's in conjunction
11 with the caregiver or solely done by a caregiver.

12 Second, for 2A-5, alarms are really important to consider. Because they can really be
13 disruptive to the human being wearing the device. I have patients who won't wear devices
14 because of the alarms. I have patients who are not allowed to have alarms at work. And if you
15 can't silence the alarms when you need them silenced, it really disrupts your life. It makes people
16 aware you have a chronic condition. And there are some types of diabetes devices where both the
17 pump and the sensor both alarm when your sugar goes low. So it's really important to consider
18 the burden of alarms.

19 And then finally, for 2B, in terms of the effectiveness or how you look at the devices as
20 you're studying them, one of the things that I've found in my population is that as I use a device
21 with my patients, what I find is that they actually like it in different ways than I anticipated. I'm a
22 diabetologist. I look at glucose levels, but they actually often find the devices make them feel
23 safer. They give them a sense of empowerment. They do all sorts of things that many of them
24 aren't even measured by PROs that make the devices good for them. So, I would say that during

1 studies even, you might want to modify what you're looking at in terms of outcomes to see if it's
2 effective in ways that are new and perhaps unexpected in a certain population.

3 Mr. Conway: Thank you very much. Naveena go right ahead.

4 Dr. Yanamala: I'm Naveena Yanamala. I'll be responding to 2A-1, two, three, and five. One and
5 two together corresponds to the accessibility and inclusivity part of it. So, it is important to
6 ensure that the device is accessible to individuals with reduced functional capabilities. With
7 experience and clinical research and patient engagement and patient compliance on home-use
8 devices, this is a perspective from that. People often prefer large buttons, voice commands, as
9 well as tactile directions. Not only just showing them the direction but highlighting and popping
10 out the buttons is often useful for its effective use.

11 The second thing I have observed is that devices with multilingual capabilities and
12 translation have been more compliant to be accepted by patients for home use and potential
13 recruitment into clinical studies as well. So I think that targets both one and two of health literacy
14 as well as reduced capabilities, because cognition abilities are also very important. Ensuring that
15 the tactile advancement or tactile capabilities into medical device apps and other data-driven
16 technologies is very important.

17 Coming back to 2A-3 and five together, technology is a barrier. So I've seen some
18 medical devices, definitely home-use devices have this failsafe mechanism. Most importantly,
19 with continuous monitoring systems. Let's say you have a power outage or Internet break or
20 something that happened. I've seen some devices implement on-device storage for temporary
21 purposes that can hold data for four hours to eight hours that have helped with continuous
22 monitoring devices, not disrupting the care that is given to these patients. So that I think FDA
23 and industry can string such fail safe mechanisms is very important.

1 Last but not least, safety measures. How do I ensure as a physician or a clinical
2 researcher in the field of cardiology that the device is given to a patient? And the patient who is
3 intended to use it is actually using it? So, how do I ensure an appropriate use of devices? One
4 example I can quote here, if there is a surgery being done and often if you're snoring, we test the
5 patient for sleep apnea. And we send a patient, let's say, with a home-use device to come back to
6 us and record it to ensure that they should be qualified for a procedure. There may be a potential
7 risk that the patient actually is measuring sleep apnea clearance on somebody else and not
8 himself. So how do we regulate medical devices to ensure such risk is not happening? That's all I
9 have. Thank you so much.

10 Mr. Conway: Sure. Thank you very much. Ian.

11 Mr. Burkhart: This is Ian Burkhart. I have a short clarification to 2A-1. Based on what Dr. Peters
12 mentioned, making sure that we include the caregivers, but also understand that many people
13 have multiple caregivers that might be helping. So, many times for the post-surgery or
14 appointment where they're being introduced to a device, they have one caregiver with them. And
15 then, how is that managed and who can provide education to subsequent caregivers, even after
16 they're used to a device?

17 My other comment is for section 2B. Talking about how taking devices for market
18 authorization that might result in larger, longer studies that could delay access. I think that's a
19 really challenging problem. And there needs to be a little bit of a sliding scale there for
20 balancing the speed of which these devices are going out and how much that impacts on the
21 quality-adjusted life years for the individual with a certain disease or condition. Because there's
22 plenty of people who just aren't around long enough to see devices that actually could have
23 improved their quality of life. And especially balance that with the efficacy for scaling it to larger
24 patient populations. Obviously, it needs to be safe and have some level of efficacy for it to even

1 get out to be approved. But before we transition to other populations, see if we can balance that
2 to make sure that more people have access to it.

3 Mr. Conway: Thank you, Ian. Yeah, thank you. Dr. Fischer.

4 Dr. Fischer: This is Gwen Fischer I'm responding as a provider to 2A-5 and 2B. For 2A-5, I
5 just want a second and expand on something that Dr. Peters said about alarm settings that this is
6 a key component of safety and the ability for a patient to be comfortable at home with a device.
7 As an example, many of our pediatric patients who are on tracheostomy ventilators at home,
8 their families will turn off the alarms because they're constantly going off, which is a key safety
9 risk for those patients. So making sure that alarms are tested in a real-world setting. And also
10 advocating for patient community involvement on alarm use for devices, particularly critical
11 devices such as ventilators.

12 And then for 2B, I just wanted to make a comment that larger and longer studies will
13 absolutely be a significant deterrent for pediatric trials and possibly other small market trials due
14 to the lower market return and cost of those pediatric or small market trials. Post-market
15 evaluation may be stopgapped to assist with that for looking at safety metrics and incorporating a
16 larger slice of the population. Not ideal, but a potential way to increase the signal across a more
17 diverse population. And also considering use of real-world evidence in virtual patients, both of
18 which I know are current initiatives of the FDA and would certainly benefit small market
19 populations.

20 Mr. Conway: Great. Thank you very much, Doctor. Dr. Joniak-Grant

21 Dr. Joniak-Grant: Hi. Thank you. So, speaking to 2A-1, I'd recommend thinking about the
22 amount of cognitive energy required, the number of steps involved, and the complexity, thinking
23 about patients on their good days and their bad days. The size, shape, feel of buttons. Another
24 thing would be especially if you're doing things on apps, but the impact of background and text

1 colors, font size, animation, flashing lights, scrolling. I'm thinking about when people have a
2 migraine that perhaps has been going on for two weeks, scrolling and sounds and every flash,
3 they can see flickering that other people can't pick up in their line of vision. And looking at
4 screens can be really difficult. I think is it intuitive enough that if a patient is in heavy pain or
5 medicated or caregivers are overloaded and stressed, can they still figure it out?

6 In terms of 2A-3, is there optimized viewing for tablets and mobile phones? So, for
7 example, with tablets don't just expand the view, have it actually work for tablets. Give people
8 options to look at it in landscape and an increased font and reader options as well as integrated
9 text to talk. One thing to consider is if you have to seek help, do you have to seek help from your
10 mobile phone where you're also maybe using an app and trying to toggle back and forth between
11 multiple screens to figure out what needs to be done?

12 In terms of 2A-4, children and pets, are buttons enticing to little ones? Is there a big green
13 glowing button like there is at my father's pacemaker machine that his grandchild takes
14 everything he has not to push that button every time he walks past the room. What about if
15 there's pets in the home? Especially cats. Cats like warm equipment. They like to walk across
16 things. How easily can things be turned off accidentally? And other things in the home
17 environment. Temperature has been mentioned, but also what about dust? If you live in areas
18 with lots of sand, pollen, we have a pollen season here where if you're outside for just a few
19 minutes, your phone is coated in pollen. So, to consider those types of aspects.

20 And then in terms of evaluation the FDA, what they should consider during evaluation, I
21 think has industry-involved patients throughout the process versus this one and done approach.
22 Have potential users use the device in a variety of ways on good days and bad and maybe even
23 consider have they done things like focus groups to test pictures and drawings or test directions
24 to see how well people understand them.

1 And finally, to speak to 2B, I think those three principles are really important, but I think
2 data validity is another one, as well as affordability. Timely access absolutely matters, but many
3 patients expressed, as was mentioned earlier, that they're very tired of feeling like guinea pigs,
4 and I hear that a lot. Especially when certain devices could be used for years and years and years
5 and they feel that they may be pushed to market very quickly. So, I think it's, Important that
6 efficacy be strongly established because using medical devices can often come at a significant
7 cost to patients. And often they're having to choose between trying device X or device Y, and if
8 they try one that turns out to not have as great of efficacy, they may not have the opportunity to
9 ever try device Y. So, I think it's a very fine line that we walk, but needs to be considered.

10 Thanks.

11 Mr. Conway: Great. Thank you very much. Ella Balasa. Go right ahead.

12 Ms. Balasa: Hi, Ella Balasa. I'm providing a comment generally to 2A. I think it's really
13 important when designing medical devices to consider how it will integrate into a person's daily
14 life. How easily, and especially when it comes to a wearable kind of device, how cumbersome
15 this device is to wear on the body if it affects sleep patterns long term, also hygiene and
16 cleanliness, how easy or difficult it is to keep clean with wearing such devices.

17 And then also to comment on 2B-1 and talking specifically about the inclusivity portion.
18 I think it's important to consider inclusion and exclusion criteria and the broadening of those
19 criteria to include patients that may have varying levels of disease manifestation. To make sure
20 that information is gathered on patients across the spectrum of disease that's being affected.

21 Mr. Conway: Great. Thank you very much. Dave White.

22 Mr. White: Thank you. Dave White responding to question 2A-4 other aspects of the home
23 environment that may limit or impact safe and effective device use. One aspect of the home
24 environment is that it's multiple environments. I would like a device to work as well at home, as

1 it does at work, as it does at school, as it does in the ballpark, ideally. And additionally, some
2 people are housing challenged and moving from environment to environment can be challenging.
3 I think Ian touched on that, I didn't even think of that. In every environment, you're encountering
4 possibly having to work with a different care partner. So I think that device functionality needs to
5 be environment agnostic.

6 Mr. Conway: Thank you very much, Dave. Teresa.

7 Ms. Diaz: Teresa Diaz. I'm going to expand on what Dr. Wilcox was talking about with 2B-1
8 with the studies. I am very familiar with device events and what Dr. Wilcox was saying is how
9 difficult it is to get this world data. And I'm very familiar with device events, working with
10 Madris Kinard and she has a way to get that old data. And instead of using new studies, you
11 could go back and look at prior cases, prior events so that it would be less cumbersome.

12 Mr. Conway: Thank you very much. Dr James.

13 Dr. James: I thank you. Jijo James responding on behalf of industry for question 2A
14 generally. And I know we've spoken about this a lot today, but human factors and usability is an
15 important factor in the development design and review of devices intended for use in a
16 nonclinical setting as we're talking about in home-use. But right now, there's no centralized
17 human factors resource within CDRH and we would recommend establishing a centralized
18 group, to establish consistency in the review considerations for medical devices that could be
19 used in a home setting by lay users.

20 Secondly, it would be also helpful if the FDA were able to work closely with international
21 standards groups to establish and recognize home-use performance and safety standards. And I
22 think it's Dr. Yanamala who mentioned interoperability. This would go a long way to not just
23 ensure interoperability, but ensure standardization of cybersecurity standards for home

1 technologies, ensure data security, privacy, and perhaps seamless integration with different
2 devices and systems.

3 Mr. Conway: Great. Thank you very much, Doctor. Dr. Wilcox.

4 Dr. Wilcox: Yeah, I just wanted to address something that Dr. Sitapati mentioned, which is
5 that the issue of disabilities and the fact that many of the conditions that these devices are
6 designed for create all sorts of difficulties in using those very same devices. One of the ways
7 that's addressed now is to use representative samples of actual patients. And so, that's one way
8 that's being addressed, but what could be done perhaps, and we've occasionally done this, is to
9 weight the sample toward those who are the most challenged with the theory or the basic concept
10 that if the people who are the most challenged can use it, then it's probably going to be okay for
11 everybody else.

12 Mr. Conway: Thank you very much, Doctor. Ian, looks like you may have the last comment
13 here.

14 Mr. Burkhardt: Yeah, thank you. I just have a quick comment to add on to what Ella mentioned as
15 far as inclusion exclusion criteria. I work with a lot of researchers and a lot of times inclusion
16 exclusion criteria is really built to make it easier on either the clinicians doing the research or the
17 industry to make sure that they have the best opportunity for success. And they often exclude
18 patient populations that might be the best ones to benefit from these devices. So I know that it
19 can be challenging, to maybe have someone included who's on a ventilator in a clinical trial. But
20 sometimes people like that are really the golden window of who's going to benefit best. And I
21 think that needs to be reevaluated.

22 Mr. Conway: Great. Thank you very much. Sure thing. At this point, FDA, what I'd like to do is
23 try to summarize this. FDA, in regard to question number two, the committee generally believes,
24 and I'll answer this in two sections, Section A and Section B, that on Section A there are multiple

1 different factors here that the agencies should consider based on the committee and in regard to
2 the end users. In terms of design and evaluation, I'm going to blend a bit of one through five here
3 at the strategic level. There are a couple of things that have been raised. Coordination with
4 international standards of what is defined as over the counter or home device, elevation of human
5 factors, engineering an interface, how that actually works. And then, in terms of the actual
6 specifics of design and how things are taken into account for patients, you heard a tremendous
7 amount of detail on things related to technology and interface, such as buttons, their size, the
8 shape, the feel, font size, how they scroll, voice command, all of these things I think are
9 important for industry and for FDA. But you also heard a lot of other things that have been
10 mentioned here about cognitive impairment, the amount of energy it takes for a patient that's
11 dealing with a chronic condition to simply get through their day in addition to having to manage
12 devices at home. Reduced functionality of a person, linguistic inclusion and linguistic barriers,
13 reading levels, age, and whether or not the person is dependent upon other types of medical
14 devices, things as simple as eyeglasses and how important that plays in terms of practically, what
15 does it mean when something is taken home.

16 In terms of some of the tech issues, other tech issues that were raised, very important
17 ones, in terms of rural communities whether or not industry has taken into account things like
18 signal boosters for people that live in areas that have limited broadband. And then also targeting
19 down in terms of zip codes and understanding where people are and where they live and the
20 communities that they live in based on zip code and what challenges that may face. Again, on the
21 technology side, one other thing to mention is how they're designed in terms of what they can be
22 used with including tablets or text to phone. A very important issue as we start to take a look at
23 the issue of safety is failsafe and what does that actually mean? And how does that define in a
24 home real-world setting? What does that actually look like to a patient? Whether or not enough

1 data is saved, whether or not there could be a continuation of the care. How alarm systems are set
2 up. Not just in terms of their sights and sounds, but also in terms of who else might be using
3 them, how else they actually are used in the home. I think Dr. Peters made a very good point
4 about that in terms of that if you want a device that actually follows a patient during the course
5 of a life. How alarms work and whether or not they're acceptable in a workplace or in different
6 settings is vitally important to the patient.

7 The other thing on the safety side is the factors of children and pets. What are they
8 attracted to? What might they inadvertently misuse or cause a problem with. Environmental
9 factors also, such as dust and sand. And then, I think Dave White made a great point here, which
10 is in regard to many Americans who don't have necessarily home security or who define home as
11 kind of a moving target at times. Does it work? And is the intention here for something to be as
12 intuitive to a patient where they live, where they play and where they work? And does it work in
13 all those different environments? In terms of other safety issues, a key point was made about who
14 is actually using it in the household, or whether or not a person may be delivering specifications
15 on behalf of another person and how do you control for that, or how do you anticipate that for
16 safety?

17 In terms of different types of conditions, and how do you sort that out, I think that Dr.
18 Wilcox made a very good point about diabetes as an example, also people with disabilities and
19 whether or not in terms of segments of populations, if maybe perhaps data could be weighted in
20 that regard. Ian made a very good point about the fact that the term caregiver sometimes is in
21 singular, not plural. And that understanding that for patients with chronic disease and acute
22 conditions, oftentimes the handoff, or what we would call the continuity of care, can involve
23 multiple teams, short term, midterm and long term and the turnover of people. And are these

1 devices understandable? And those technologies understandable given what a patient faces,
2 which is multiple care teams?

3 And then, in terms of a common theme, I think you heard that in terms of populations,
4 cognitive impairment, people with disabilities, oftentimes some of these things are being
5 designed perhaps with one treatment or one intention, not understanding the multiple cofactors
6 that other people are dealing with, or the fact that technology has to be open to track the path of a
7 patient working through an acute condition or a chronic condition. I'll stop there and then move
8 over to part 2B and summarize that.

9 A number of different issues were raised on this. Some of pretty profound importance in
10 terms of evidence for clinical trials and the principles. I think there was general consensus that
11 the principles that FDA has put forward and anticipates are good. There are some other concerns
12 that people would, I think, augment those with and those include different things, like in terms of
13 demographics. Dr. Wilcox made a very important point in regard to trying to get to an ideal
14 population whether or not the numbers that are involved in trying to identify or get to a certain
15 point in a trial might have an increased difficulty in terms of length of time or recruitment goals.
16 We also heard that in terms of beyond patient-reported outcomes or beyond what might be
17 anticipated by researchers or scientists, in terms of where you want to go or the outcome that you
18 should be open to other things that patients find important and that should be captured. I think
19 Dr. Peters characterized it as you might find new data and things that are more meaningful in
20 some ways to the patients themselves and be open to that. Several times Ian made this point,
21 others made this point that larger studies can delay access and there's a balance there. A
22 suggestion was made on sliding scale because the ultimate end user in many cases is the patient
23 that's in the most distressed situation, and that in the pursuit of an ideal trial or a target in the
24 trial, that might create a delay. That person that it might be intended for may never see it in their

1 lifetime. And I think that's an important point to keep in mind. Dr. Fischer also raised the issue of
2 larger studies having a disincentive, especially in the pediatric population. Other points that were
3 raised in terms of importance were data validity, affordability, efficacy, inefficacy in terms of
4 patient choice, because oftentimes patients might have the option of choosing one thing, but they
5 may not have the opportunity to choose other devices. So, information and data on what is
6 efficacy for different populations is very important. I'll stop there and ask FDA, is this adequate
7 as a summary for question number two?

8 Dr. Faris: Hi, this is Owen Faris. Again, thank you to the committee for a really thoughtful
9 discussion. We all took a lot of notes, and I'm sure it's going to be really helpful to us. I'd like to
10 just invite the panel for any additional comments regarding 2B and I'll just put a couple of
11 comments out to maybe support that conversation. Dr. Tarver's presentation this morning talked
12 about FDA's commitment to develop and publish a framework that would outline the
13 considerations that the agency should think about for when we should determine that a diverse
14 enrollment is necessary to support an assessment of the safety and effectiveness of a product. We
15 heard a lot of great suggestions and acknowledgements of the limitations and challenges that
16 such a requirement would place. We heard some suggestions of things that we could do in the
17 post-market of using registries and real-world data to assess the performance of a product in a
18 diverse population. We heard suggestions about inclusion criteria to make sure that the tent is big
19 in those studies and that diverse patient populations are invited to participate. I think I'd like to
20 invite, if there's any additional discussion and recommendations, about how FDA should think
21 about situations, patient conditions, device characteristics, where diverse enrollment in a pre-
22 market study is necessary in order to make an assessment that the device is safe and effective and
23 should be authorized for market.

24 Mr. Conway: Great. Let me go ahead and take that. Dr. Yanamala, go right ahead.

1 Dr. Yanamala: I'm Naveena Yanamala. I will be responding to Dr. Faris's question. I think one
2 thing that may be useful is shared decision making tools between the providers, caregivers, and
3 the patients. So how do I know that with the given conditions, because everybody is precise these
4 days? We are moving from 4P to 5P medicine. Which is going to be not just precise, preventive,
5 predictive precise as well as participatory. In those cases, how do we promote that decision
6 making that we give the power to the patient itself is something that we should consider even in
7 the pre-clinical study. Sorry. I meant to say pre-market studies where the phase one or phase two
8 clinical trials is happening because oftentimes, we get complex cases for clinical trials
9 recruitment as well, where the surrogate can sign for consent, but what is patient's decision there
10 and language barriers. If the language barrier poses a major hurdle, then the surrogate consent
11 comes back in. How do we give back that decision-making power that is well-informed and
12 effective for the use of medical devices? That's one consideration I wanted to raise here.

13 Mr. Conway: Great. Thank you. Dr Peters.

14 Dr. Peters: Yes. Hi, this is Dr. Anne Peters. So, this may be a little off topic, but I don't think
15 it is, is that in clinical trials, one of our problems is that our patients who want to be included
16 lack the basic knowledge to be in that trial. So, say it's with a continuous monitor. They don't
17 really understand what glucose levels mean. And so, when we put a device on the market, we're
18 basically assuming a baseline understanding of the disease data, what the device is being used
19 for. And I think one of the reasons things don't work is because people come to the device with
20 all sorts of different levels of knowledge. And I think that for the FDA to consider that we want
21 to make sure that the playing field is level and people start using devices. And so, that might
22 mean that people need more education prior to using and implementing the devices, and that
23 should not only be included in trial design, but in actual use, because I think it's what we assume,
24 but isn't really true in all cases.

1 Mr. Conway: Great. Thank you, Dr. Peters. Dr. Wilcox.

2 Dr. Wilcox: Yeah, I want to address that specific question of how do you know when you're
3 going to need a more diverse sample for your research? Now, my experience is with usability
4 testing, just to be clear, not clinical trials. But it's a catch-22. You don't know it's there. It's an
5 empirical question. You don't know if you need it until you start collecting the data. In the case of
6 disabilities, yeah we know what disabilities to expect with a product for diabetes care and what
7 have you. But in the case of ethnicity, that's the tough one. I just think we need to collect better
8 data. And then, when we find some initial evidence that it may be working better with one ethnic
9 group than another, then we should have the requirement of looking into that difference
10 specifically, more deeply.

11 Mr. Conway: Great. Thank you, Dr. Wilcox. Dr. Sitapati.

12 Dr. Sitapati: Hi, Amy Sitapati responding to more details on 2B. I think that perfection here in
13 getting to the solution might be an iterative approach and perhaps what we need to think about
14 are where the areas where we see the highest signal for national disparities in health outcomes
15 and burden of disease. And at the very least, those devices that are informing the solutions to
16 help care for those diseases should also be inclusive of the populations with that. So, for
17 instance, if we think about hypertension or CVD or CKD, perhaps we're being intentionally more
18 inclusive of our Black and African American patients. If we have diabetes and poor diabetic
19 outcomes, loss of sight and limb, perhaps we're being more inclusive and thoughtful about
20 Latinx and Spanish speaking populations. If we're thinking about maternal health outcomes
21 Black African American and Pacific Islanders. If we're thinking about cancer treatments, again,
22 the rural, but this won't address rare disease and other factors. But I think at the very least should
23 help to inform an approach.

24 Mr. Conway: Thank you very much. Dr. Joniak-Grant.

1 Dr. Joniak-Grant: Elizabeth Joniak-Grant. I think it's important that we be intentionally
2 inclusive whenever we can. Obviously we'd want to have it more heavily weighted in cases
3 where there seem to be that there will be these strong outcomes, but I think maybe thinking about
4 a baseline of what that looks like. True inclusivity means inclusivity wherever we can. So, I think
5 we need to try to not start cherry picking already at the starting line of where it needs to be done,
6 and maybe where it doesn't need to be done, and have the goal be that it just gets done.

7 Mr. Conway: Great. Thank you. Dr. James.

8 Dr. James: Jijo James and I just wanted to follow up on what Dr. Sitapati just said, I
9 completely agree with her on the outcomes. The only caveat that I would add out there is a lot of
10 times those outcomes are directly proportional to access as well. So just keeping that in mind as
11 we build these requirements in and not confounding the two.

12 Mr. Conway: Great. Thank you. I'll go back over to Dr. Faris and ask if that is responsive.

13 Dr. Faris: Yes, thank you very much for the additional comments and insight. I think we
14 have what we need.

15 Mr. Conway: Okay. Thank you very much. Commander, if you could go ahead and read
16 question three.

17 **Question Three**

18 Commander Olele: Commander Olele for FDA. Question three, as more diverse patient
19 groups are included in clinical studies of medical devices, it is important to communicate
20 potential differences in the level of benefits and risks various groups of patients may experience.

21 A, what information do you think is most important to convey publicly about these
22 differences in benefits and risks?

23 B, what information do you think is most important to convey publicly about the study
24 population included in the study?

1 And C, is there additional information you think patients and caregivers should have
2 available to aid their individualized discussion of benefits and risks of various treatment options
3 with healthcare providers? That ends question three.

4 Mr. Conway: Great. Thank you very much and to the committee, we're getting in the home
5 stretch. We have two questions. This one, we're going to break it into the three components,
6 which is communicating on public risk and benefit, more information about the study population,
7 and information that folks need to do individual decision-making or better individual decision-
8 making with their healthcare providers. And if you can address your comments in those silos, it
9 would be great. Let's go ahead and start. Okay. Thank you very much. Naveena.

10 Dr. Yanamala: I'm Naveena Yanamala, I'm addressing 3A specifically. I think it is CCM, context,
11 comparison, and magnitude of effect. So, when I go and talk about the context, providing a
12 context of the observed differences by explaining how they relate to the underlying disease,
13 should be communicated very clearly when we are publishing the studies and clinical studies
14 with medical devices. So, this allows the patients to understand the potential impact on their
15 health outcomes and comparison. So, often there is a question that is posed. How does this
16 treatment compare to the other device? There are similar devices often on the market, medical
17 devices. When it comes to both the invasive home-use monitoring, there are several that are
18 coming up on the market. So, offering comparisons with the standard option, treatment options,
19 or other comparable medical devices to treat the same disease can help patients access whether
20 the observed differences are clinically meaningful to them or even to understand if they align
21 better. If a given medical device would align better with their preferences both culturally and
22 personally and to their lifestyles and context. When I say context, I mean providing the context
23 of observed differences both based on the treatment mechanism as well as the broader clinical
24 landscape. Last but not least, the magnitude of effect. Using the device, how does it improve

1 both the outcomes as well as the morbidity as well as the lifestyle changes and how does it help
2 them improve their life better around a given health condition. Thank you.

3 Mr. Conway: Great. Thank you very much, Dave White.

4 Mr. White: Thank you, Dave White responding to 3B. I would want to know how the study
5 population compares to the actual affected population. For example, about one in three people
6 with end stage kidney disease identify as Black. And as a former dialysis patient, and possibly
7 future, I would want to know if to study population of any new devices for home-use under
8 consideration matched or exceeded my cohort's percentage of the affected population. I could
9 also say the same thing about hypertension.

10 And responding to 3C, additional information, I think patients and caregivers should have
11 available, I would think adverse events possibly measured by 1000 hours of use. But some
12 measure that would be broken down in a way that's easily understandable and could facilitate a
13 shared decision-making discussion between a patient and a clinician.

14 Mr. Conway: Great. Thank you very much, Dave. Dr. Peters.

15 Dr. Peters: Yes. Hi, this is Anne Peters. I'll talk about 3A first. I think it's hard to rationally
16 discuss benefits and risks, even though it's very important because everybody hears the risks and
17 sometimes they don't often hear the benefits. And as a physician, I spent a lot of time trying to
18 balance that for patients. What is the risk? What is the benefit? And give options. But I think we
19 need to avoid too much in terms of numeracy, percents of things or how you look at it. I think
20 you have to have really simple explanations for people looking at the risks and trying not to
21 minimize them, but to make them make sense for that individual. In terms of looking at the
22 population that was included in the studies in 3B, I think it's important to talk about the
23 population that was excluded. Why did they exclude people with kidney disease? Was it because

1 this drug affects kidneys or because they just decided not to look at it in that population? It's very
2 important to be clear on the exclusions.

3 And finally, additional information. I think that what patients want to know from me is
4 that I thought about something. So, what I do, and I think you could do this with AI, but I take
5 the patient and who they are, and I look at the risks and the benefits, and I say, you're at low risk
6 for this complication from this drug or device because of X, Y, and Z. So, they know I've thought
7 about the risks in the context of this individual, and then give them a sense of the benefit and risk
8 to them, because otherwise people just get scared. So, I try to make it about the person and really
9 a considered approach that doesn't hide it, but really talks about it.

10 Mr. Conway: Great. Thank you very much, Dr. Peters. Ian.

11 Mr. Burkhardt: Hello, this is Ian Burkhardt. I have a response to 3B. I think once again it's enough
12 of a balance as far as how much of the information you can share without impacting patient
13 privacy. But really being able to share the demographics of the individuals who were involved in
14 the trial and what their outcome was and breaking that down by race, gender, other health factors
15 that they might have, socioeconomic status, and geographic location. I think that can help then
16 make a patient have a better decision making process if they can see themselves included in the
17 data.

18 Mr. Conway: Great. Thank you very much, Ian. Dr. Wilcox.

19 Dr. Wilcox: Yes, Stephen Wilcox. I completely agree with what Ian Burkhardt just said. It really
20 is about transparency, using a diverse population as diverse as you can, and then being as
21 transparent about it as possible, including the outcomes. But I wanted to bring up something else.
22 We're always trying to make the design of a device so that it can be used by everybody. But it's
23 quite common that at a certain point, especially if it's a progressive disease, a transition has to be
24 made from the individual using the device to a caregiver using the device. And that's information

1 that's not typically included in the material with a device. What are the criteria for whether or not
2 a caregiver has to be involved when to expect perhaps a transition? The use by a caregiver and so
3 on. I'd like to see some guidance along those lines.

4 Mr. Conway: Great. Thank you very much, Doctor. Dr. Sitapati.

5 Dr. Sitapati: Hi, Amy Sitapati, responding to 3A and B on benefit and risk. I think quality of
6 life is the benefit. Safety events and failure, really important for risk. And then, in terms of the
7 study population, I don't know the how, but I know maybe the what. It is patients like me and
8 people increasingly want to look in big data and understand. If I'm a cancer survivor and I was
9 exposed to high-dose steroids, should I get the implant and when? If I have a trait with a chronic
10 condition, what's the chance of infection? If I'm getting a gender inclusive surgery and get the
11 implant, what's the chance for failure? Patients like me are what patients are looking for, but I
12 don't know the how.

13 Mr. Conway: Thank you very much. Dr. Wilcox, I think you may still have your hand up. Let
14 me go to Teresa.

15 Dr. Wilcox: Sorry.

16 Mr. Conway: No problem at all.

17 Teresa Diaz: I'm going to be answering for 3A, the benefits and the risks. I really feel like
18 informed consent, fully-informed consent, full education, clearly-labeled products. Public
19 awareness campaigns between social media, radio, whatever to get that education out there on
20 that product.

21 Mr. Conway: Thank you very much. Dr. Fischer.

22 Dr. Fischer: This is Gwen Fischer commenting on 3C from a provider's perspective. Many of
23 our patients, especially looking at a complex or critical device asked for interaction with other

1 patients or caregivers that have had that experience with that device. So perhaps an access to a
2 patient advisory group or panel, keeping in mind the HIPAA issues around that.

3 Secondly, just expanding a little bit on what Ian and Dr. Peters both said about
4 information on risks that families and patients may want. We found that the words common, not
5 common, and rare are typically less concrete than what a lot of our patients are asking for. They
6 frequently want numbers, so if numbers are available, something like 20 percent risk of stroke
7 versus not common is a much more concrete and helpful way to phrase something or way to give
8 parents information so that they can really have a concrete idea of the risk of something that
9 they're about to undertake.

10 Mr. Conway: Great. Thank you very much, Doctor. Necie.

11 Ms. Edwards: Hi, Necie Edwards here. I'm addressing 3A and 3B. So, for 3A, from a patient
12 perspective, I will be concerned about the limitations of the study including the limitations of the
13 study design, the sample size, and the statistical methods used. So, for example, a study may be
14 limited by the small number of patients who were included in the study, but this can make it
15 difficult to detect small differences and benefits and risk. And then for 3B regarding the study
16 population, what comes to my mind is a patient that would matter. Ian mentioned earlier about
17 the demographics, but besides the age, gender, race, ethnicity, and socioeconomic status of the
18 patients who were involved in the study. I was thinking about a study, for example, if it finds that
19 a medical device is effective in reducing pain in a population of White women, that may not be
20 generalizable geared towards patients for a population of Black men. Otherwise, sometimes they
21 try to lump us all together, but they don't take into consideration some of the differences. Thank
22 you.

23 Mr. Conway: Thank you. Thank you very much, Necie. Ella.

1 Ms. Balasa: Ella Balasa. This is a comment to follow-up to what Dr. Anne Peters said about
2 the benefit and risk and having that conversation with the patients to determine what the
3 limitations of a specific device would be. For a patient based on their lifestyle, their
4 comorbidities, their current state of health and various other factors and really being transparent
5 in that so that there can be the best determination made of whether a certain device is best suited,
6 based on that for a particular patient.

7 Mr. Conway: Great. Thank you very much, Ella. And, Dr. Joniak-Grant, if you can be brief on
8 this, and then we'll have Naveena, and then we'll move on. Go right ahead.

9 Dr. Joniak-Grant: Absolutely. I'm going to try to only say stuff that hasn't been said. So for
10 3A what exactly were the differences and the degree of differences observed and in what groups?
11 For 3B, I would want to know the length of this study, the number of participants in various
12 subgroups, and then if certain groups were not included or not included at sufficient numbers, I
13 would want that to be stated, to just be obvious. Not that I have to figure it out myself.

14 Mr. Conway: Okay. Thank you very much. And Dr. James, go right ahead.

15 Dr. James: Sorry, it took me a minute out there. Jijo James, I just wanted to emphasize what
16 Dr. Peters, Dr. Fischer, and Ella just said around benefit-risk. I think we do take a risk-based
17 approach to benefit-risk. And what do I mean by that? We count the same risk multiple times, but
18 we don't do a good job of counting benefits, especially benefits that matter to patients. And we
19 need to do a better job of that and probably develop some kind of a methodology to quantify
20 benefit-risk so that we're not talking in degrees, but in numbers and update those risks or that
21 score as products remain in the market. Because again, a lot of this work happens upfront pre-
22 market, but we don't take the effort to update the risks and benefits. And a lot of times those risks
23 go down based on what you're seeing in the population as it may go up as well. Likewise with
24 the benefits.

1 Mr. Conway: Great. And Naveena, I'll give you the very last word on this.

2 Dr. Yanamala: I'll be very brief. I'm Naveena Yanamala. One question following Dr. Peters's
3 comment about exclusion right here that popped up was geographical diversity. The information
4 of geographical diversity is important because we know that the temperature, altitude can
5 actually influence the vital signs and other things, which aren't also comorbid conditions, often
6 which become the inclusion exclusion criteria. So, there should be information that should be
7 communicated, like a disclaimer or a limitation. Somebody was pointing it out previously in the
8 discussion about it has not been tested in this population. So, how do we control for such benefits
9 and risk when evaluating special subpopulations?

10 Mr. Conway: Okay. Thank you very much. FDA in regard to question number three, the
11 committee generally believes that in terms of communicating benefits and risks, which would be
12 basically 3A, the information most important to convey publicly about these differences ranges
13 across from comparative data in terms of how does this risk-benefit compare to a device in
14 similar class versus another device? What's the magnitude of impact of risk in regard to
15 morbidity and lifestyle? Other key things are the context of it. The effects on a particular disease,
16 impacts on individuals, clinically important in the meaning of importance to patients, the issues
17 of quality of life versus a device that may fail, limitations on design. Critically important also is
18 informed consent and how it is labeled. And Dr. James raised the issue of quantifying risk, not
19 just at the front end, but longitudinally after it's been in the marketplace.

20 In regard to information about study populations I think it was stated right off the bat by
21 Dr. Sitapati, which is patients like me. That's a core question. So who was included and who was
22 excluded as a population and who were those groups and what are their numbers? Dave White
23 raised a very good point about does the study actually compare to populations that match the
24 cohort of importance or the intended consumer user. Did a study include the target population or

1 the ultimate end users or not? You heard more again about transparency and the outcomes. Better
2 definitions about common and rare and how that was included in a study population. Whether or
3 not data is generalizable. There was a concern that things may separate out by age, gender, and
4 race, but it's not always generalizable. And having patients know that information up front is
5 important.

6 And then in terms of other information for individuals to make decisions with their
7 healthcare provider, Dr. Peters raised the point that it has to be in the context of the individual
8 and the person that providers should be encouraged to provide the context of what the risk
9 balance means to an individual person. Another issue that was raised by the committee of
10 concern is the criteria for at some point when it transitions over and it involves more than just the
11 patient, it may actually involve use by the caregiver. There were suggestions made on how to get
12 some of that data, whether or not it's having a patient panel or not. Again, the issue of common
13 or rare was brought up. And then in terms of how you discuss relative risk to a patient in terms of
14 percentages and what that actually means. And is it meaningful to a patient or not? I'll stop there
15 and ask FDA is this adequate?

16 Dr. Tarver: This is Michelle Tarver, FDA. Thank you very much, Mr. Chairman and the panel
17 members. This was very helpful. Thank you.

18 Mr. Conway: Great. Thank you very much. With that, I'll go ahead and ask Commander to read
19 question number four, the last question.

20 **Question Four**

21 Commander Olele: Commander Olele with FDA. Question four. Digital media has allowed
22 the FDA and industry to share information efficiently with audiences who are comfortable users
23 of such technology. However, these communications are not able to reach all patients and
24 consumers who use medical devices. Studies suggest certain groups are less likely to utilize or

1 rely on digital forms of communication, whether due to age or generational differences, lack of
2 access to reliable broadband service (commonly found in under- resourced urban and rural
3 communities), cultural or other factors. What methods or approaches should the FDA and
4 industry consider reaching individuals and communities who have limited digital literacy,
5 engagement, or interest in digital media? Please consider both dissemination of information as
6 well as hearing about needs and concerns of such individuals and communities. This ends
7 question four.

8 Mr. Conway: Great. Thank you very much to my fellow committee members, I might suggest
9 we do something like this, given the breadth of experience that's represented on the committee,
10 there are two things here. One is an issue of tactics that you might recommend, and the other is
11 in terms of engagement, hearing back from specific audiences and different communities. So, I'll
12 put that out to you, and let's go ahead and start the responses. Who would like to go ahead and
13 start? And we'll start with Ian. Go right ahead, Ian.

14 Mr. Burkhart: This is Ian Burkhart. I want to first give a little bit of a nice plug to the FDA,
15 because I think they have done a good job of reaching out to patient advocacy and support
16 groups. And I think that's a great way to meet people where they are. Because if some one
17 individual might have an issue with communication digitally, generally someone in their
18 community may not. And so there, there might be a way to still get that information, both to and
19 from. Additionally, just meeting people where they're at, whether that be a church, sports, or
20 recreation teams and trying to find those types of groups to be included. Because, like I said
21 before, it's relatively unlikely that a whole community is going to be shut out of using forms of
22 digital communication. There's still a chance, but it's unlikely. Thanks.

23 Mr. Conway: Thank you. Sure, Ian. Thank you. Dr. Joniak-Grant.

1 Dr. Joniak-Grant: Thank you. Elizabeth Joniak-Grant. In terms of tactics for disseminating
2 information, television is one thing, elderly people still watch a lot of television. Print in terms of
3 papers, billboards could be a possibility in rural areas, subway ads in urban areas, leaflets in
4 healthcare provider offices. There was some mention of radio earlier on in terms of recalls, I
5 think this is more specifically for industry. If you can find me to mail me a bill, I think you can
6 find me to send me a recall notice. So, I think that's something that FDA needs to hold industry
7 to a little bit more. And in terms of hearing about their needs and concerns, one option is
8 reaching out to patient organizations, which has been done. Medical sociologists, researchers that
9 are in these patient spaces, community organizations and community leaders. Also thinking
10 about maybe commissioning some qualitative research, whether that's interviews or focus
11 groups. But really sending people out into the field or out into particular communities or patient
12 groups where you're trying to find out more information.

13 Mr. Conway: Great. Thank you very much.

14 Dr. Joniak-Grant: Thank you.

15 Mr. Conway: Dr. Peters.

16 Dr. Peters: Yes, this is Dr. Peters. So I've worked in many settings where the digital literacy
17 level has been very low and it's been very hard to communicate that way. And there are all sorts
18 of workarounds and I think the most important thing for me as a provider is to realize that my job
19 is to have many different ways to communicate. And sometimes a family member will be the one
20 who will look at the phone or the app and help. But really you want patients to be independent
21 that way. So a telephone call can work for certain people if you need to reach them. We've given
22 people smartphones, but that then ends up having difficulty with broadband and things. But we
23 have community health workers, we have promoters. There are ways that there is ongoing
24 community outreach and that can be used to help people. And then we always have our clinic

1 available so people can come in, they can bring their devices, we can do the program and we can
2 do whatever they can't do. And I like the idea of having mobile vans, for instance. Some places
3 use those. We've used them for dentistry and asthma in LA County, where if a van came and they
4 could help you troubleshoot and figure out what you needed to do every week, it can really help
5 in terms of transferring data and learning what you need to do. I think there are a lot of tactics
6 that can be employed as long as people are willing to think outside the box and really meet the
7 patients where they're interested in talking to you.

8 Mr. Conway: Great. Thank you very much, Dr. Peters. Necie.

9 Ms. Edwards: Hi, Necie Edwards. What I want to add to the conversation about tactics is as
10 follows, and maybe the FDA is already doing this. The FDA could create a video that explains
11 the benefits and risks of medical devices, could be posted on the FDA website and shared on
12 social media. Also, number two, the FDA could partner with community health centers to
13 provide information about medical devices. The health centers could then offer educational
14 workshops and could provide patients with access to computers and internet connections. And, in
15 addition to that, work with patient advocacy groups to create materials tailored to specific
16 populations geared towards older adults as well or people with limited English proficiency. I
17 know in my county here in Lake County, Illinois, the health department oftentimes is the leader
18 here who is really great about putting out information, engaging in the community. So, I think
19 public health is also a resource. Thank you.

20 Mr. Conway: Great. Thank you very much. Any other last comments that I may have missed on
21 question four? Dr. Fischer, go right ahead.

22 Dr. Fischer: This is Gwen Fischer, just to point out that about a quarter to a third of Americans
23 don't have broadband, but almost every adult in America does have a cell phone. So using text is
24 a great way to communicate. And I think the government COVID opt-in surveys are a good

1 example of how that worked for them. So, thinking about patients signing in as an opt-in service
2 with either a company or the FDA after receiving a medical device for optional follow-up and
3 critical information might be one way to disseminate information about specific medical devices.

4 Mr. Conway: Great. Thank you very much. At this point, FDA, I'd like to go ahead and
5 summarize for question number four. In regard to the question number four, the committee
6 generally believes that in terms of tactics and engagement, I might be able to use that to
7 summarize this in terms of tactics that could be used for dissemination. Obviously there's social
8 media, and then there are a series of different communications platforms, television, radio, print
9 media, billboards for rural areas, leaflets for doctor's offices. A point was made that on medical
10 recall information, leveraging the power and operational capacities of industry, that if they can
11 send you a bill, they can probably send you a recall notice. Other types of outreach in terms of
12 mobile vans, better incorporation and utilization of public health officials. Understanding that
13 broadband does exist nationwide and making a better use of text messaging. And then not
14 forgetting the basics of the communications networks of the family. And telephone calls going
15 out in the communications within a family and within friends and networks like that.

16 In terms of engagement and reaching out and leveraging the insights of other
17 organizations, and with that, their communications platforms. You heard about engaging patient
18 advocacy organizations, patient organizations, support groups, professional organizations, the
19 faith-based community and churches, community health centers, recreational teams and sports
20 teams, researchers, professional communities, and then conducting qualitative research to
21 understand more about the unique needs of different communities. I'll stop there and ask FDA if
22 this is responsive.

23 Ms. Witters: This is Alicia Witters. I just want to thank you all for your thoughtful
24 consideration of this question. We find the response adequate. Thank you.

1 Mr. Conway: Great. Thank you very much. At this point, what I'd like to do is thank the
2 committee and the FDA for their fantastic contributions throughout the day. I'd also like to thank,
3 again, the open public hearing speakers, the time they invested to participate, patients, industry,
4 healthcare providers, healthcare researchers, community engagement experts, and FDA for their
5 remarks. I want to ask the FDA representatives if they have any concluding remarks. Ms.
6 Capanna, Drs. Tarver and Owen, and Ms. Witters.

7 Ms. Capanna: Hi, this is Kathryn Capanna. On behalf of the entire FDA team. I'd like to thank
8 each member of the committee for taking the time out of your busy lives to contribute to this
9 essential discussion today on advancing health equity with medical devices. We thank you for
10 sharing your expertise, your insights with us on strategies that FDA and medical device industry
11 can consider to influence medical device innovation to make a difference for patients who may
12 have been medically underserved in the past or patients that face disparities in the current
13 healthcare environment. This meeting and your contributions underscores the importance of
14 continuing to examine challenging issues through the lens of the patient and the peak is a
15 cornerstone in our efforts to understand and incorporate patient perspectives and experiences into
16 FDA's work. So, thank you to all of you. We appreciate you.

17 Mr. Conway: Great. Thank you very much, Dr. Tarver.

18 Dr. Tarver: This is Michelle Tarver. I just want to echo our heartfelt thanks for the work that
19 you all did. The diligent responses you provided to the questions and the thoughtful insights that
20 we heard, not only from you, the members of the patient engagement advisory committee, but
21 also the speakers, the open public hearing speakers and everyone who participated over the
22 course of the day. So, I just want to say, thank you. And we look forward to reviewing the
23 recommendations you provided and determining what our next steps will be.

24 Mr. Conway: Great. And Ms. Witters.

1 Ms. Witters: I don't really have anything to add on top of what Katie and Michelle have already
2 shared. Just, thanks again to you all. Appreciate your feedback and your careful consideration
3 today.

4 **Adjournment**

5 Mr. Conway: Great, thank you very much. I'd like to thank you all for joining us at the patient
6 engagement advisory committee, where the patients and care partners provide our perspective to
7 FDA's Center for Devices and Radiological Health. It's known as CDRH. You've heard it a lot
8 throughout the day. Your participation and discussion for the committee today were an important
9 step forward in helping to assure the needs and experiences of patients that are included as a part
10 of FDA's approach to advancing health equity and medical devices. And then I just want to say
11 this final word, so that you folks know this quite well. You had an opportunity to participate in
12 the PEAC, and as we said at the beginning, it's quite unique for the FDA. It is a patient centered
13 advisory committee. Most unique, it's the one that the FDA stood up. It was bold when they did
14 it. And we should be grateful as a patient community, I believe, that they've leaned forward. It
15 has national recognition and global recognition of excellence for including patient insights, real-
16 world evidence, and trying to bring things back to the person, every person who deals with
17 medical issues. And these are U. S. civil servants that we participated with today at the FDA,
18 from the Commissioner on down the line. A real example of leadership in a time, sometimes,
19 when people don't see it that often, but I'd like to thank the FDA personally, and I'd like to thank
20 each of my fellow committee members for their excellence today and their contributions. So with
21 that, this meeting of the Patient Engagement Advisory Committee is now adjourned. Thank you.

22