

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
MEDICAL IMAGING DRUGS ADVISORY COMMITTEE MEETING  
(MIDAC)

Virtual Meeting

Tuesday, August 1, 2023

12:00 p.m. to 4:10 p.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

**Rhea Bhatt**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**MEDICAL IMAGING DRUG PRODUCTS ADVISORY COMMITTEE**

**MEMBERS (Voting)**

**Wesley E. Bolch, PhD**

Director of Advanced Laboratory for Radiation  
Dosimetry Studies  
Distinguished Professor of Biomedical Engineering/  
Medical Physics  
J. Crayton Pruitt Family Department of  
Biomedical Engineering  
University of Florida  
Gainesville, Florida

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**David B. Hackney, MD**

Professor of Radiology, Harvard Medical School  
Chief, Neuroradiology  
Department of Radiology  
Beth Israel Deaconess Medical Center  
Boston, Massachusetts

**Peter Herscovitch, MD, FACP, FSNMMI**

Chief, Positron Emission Tomography (PET)  
Department  
National Institutes of Health (NIH) Clinical Center  
Bethesda, Maryland

**Paula M. Jacobs, PhD**

Expert Advisor  
Division of Cancer Treatment and Diagnosis  
National Cancer Institute, NIH  
Bethesda, Maryland

1 **M. Elizabeth Oates, MD, FAWR, FACR**

2 Professor of Radiology and Medicine

3 Department of Radiology

4 University of Kentucky

5 Lexington, Kentucky

6

7 **Rupa Sanghani, MD, FACC, FASNC**

8 Professor of Medicine

9 Section of Cardiology

10 Director of Nuclear Cardiology

11 Rush University Medical Center

12 Chicago, Illinois

13

14 **MEDICAL IMAGING DRUGS ADVISORY COMMITTEE MEMBER**

15 **(Non-Voting)**

16 **Mark Mintun, M.D.**

17 *(Industry Representative)*

18 President, Avid Radiopharmaceuticals Inc, a wholly

19 owned subsidiary of Eli Lilly and Company

20 Group Vice President, Neuroscience R&D

21 Eli Lilly and Company

22 Philadelphia, Pennsylvania

1       **TEMPORARY MEMBERS (Voting)**

2       **Kimberly E. Applegate, MD, MS, FACR**

3       Professor of Radiology and Pediatrics (retired)

4       Zionsville, Indiana

5

6       **Yuni Dewaraja, PhD**

7       Professor

8       Division of Nuclear Medicine

9       Department of Radiology

10      University of Michigan

11      Ann Arbor, Michigan

12

13      **Terry Gillespie**

14      *(Patient Representative)*

15      Plainfield, Illinois

16

17      **Steven M. Larson, MD**

18      Professor Emeritus of Radiology

19      Weill Cornell Medical College

20      New York, New York

21

22

1     **Jessie R. Nedrow, PhD**

2     Co-Director, In vivo Imaging Facilities

3     Assistant Professor of Radiology

4     University of Pittsburgh

5     Pittsburgh, Pennsylvania

6

7     **Henry D. Royal, MD**

8     *(Chairperson)*

9     Professor of Radiology

10    Division of Nuclear Medicine

11    Mallinckrodt Institute of Radiology

12    Saint Louis, Missouri

13

14    **Chengjie Xiong, PhD**

15    Professor of Biostatistics and Neurology

16    Division of Biostatistics & Department of Neurology

17    Washington University

18    St. Louis, Missouri

19

20

21

22

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Charles Ganley, MD**

3       Director

4       Office of Specialty Medicine (OSM)

5       Office of New Drugs (OND), CDER, FDA

6

7       **Alex Gorovets, MD**

8       Deputy Director

9       OSM, OND, CDER, FDA

10

11       **Libero Marzella MD, PhD**

12       Director

13       Division of Imaging and Radiation Medicine (DIRM)

14       OSM, OND, CDER, FDA

15

16       **Ira Krefting, MD**

17       Deputy Director for Safety

18       DIRM, OSM, OND, CDER, FDA

19

20       **August (Alex) Hofling, MD, PhD**

21       Deputy Director

22       DIRM, OSM, OND, CDER, FDA

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order	
Henry Royal, MD	10
Introduction of Committee	
Rhea Bhatt	10
Conflict of Interest Statement	
Rhea Bhatt	16
FDA Introductory Comments	
Anthony Fotenos, MD, PhD	21
<b>Guest Speaker Presentation</b>	
PET Dosimetry Preclinical and Human Experience for Clinical Research	
William Hallett, DPhil	30
<b>Speaker Presentation</b>	
Dosimetry for First-in-Human PET Studies The NIH Experience	
Paolo Zanotti-Fregonara, MD, PhD	45
Clarifying Questions to Speakers	56



1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA Presentations</b>	
4	Medical Physics Presentation	
5	Donika Plyku, PhD	65
6	Nonclinical Perspective on	
7	Biodistribution and Dosimetry Studies	
8	Jonathan Cohen, PhD	88
9	Pharmacovigilance in CDER	
10	Samantha Cotter, PharmD	95
11	Clarifying Questions to Presenters	103
12	Clarifying Questions (continued)	124
13	Questions to the Committee and Discussion	128
14	Adjournment	166
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(12:00 p.m.)

**Call to Order**

DR. ROYAL: Good afternoon, and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Audra Harrison. Her email is currently displayed.

My name is Henry Royal, and I will be chairing this meeting. I will now call the August 1, 2023 Medical Imaging Drugs Advisory Committee to order. Rhea Bhatt is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

**Introduction of Committee**

MS. BHATT: Good morning. My name is Rhea Bhatt, and I'm the acting designated federal officer for this meeting. When I call your name, please unmute yourself and turn on your camera. Please introduce yourself by stating your name and affiliation for the record.

1           We'll begin with MIDAC members, starting  
2 with Dr. Bolch.

3           DR. BOLCH: Wesley Bolch, University of  
4 Florida.

5           MS. BHATT: Thank you, Dr. Bolch.

6           Next, we have Dr. Hackney.

7           DR. HACKNEY: David Hackney from Harvard  
8 University, Beth Israel Deaconess Medical Center.

9           MS. BHATT: Thank you.

10          Next, we have Dr. Herscovitch.

11          DR. HERSCOVITCH: Peter Herscovitch,  
12 National Institutes of Health Clinical Center,  
13 Bethesda, Maryland.

14          MS. BHATT: Thank you, Dr. Herscovitch.

15          Next, we have Dr. Jacobs.

16          DR. JACOBS: Paula Jacobs, National Cancer  
17 Institute, Bethesda, Maryland.

18          MS. BHATT: Thank you.

19          Next, we have Dr. Oates.

20          DR. OATES: Hi. Liz Oates, University of  
21 Kentucky.

22          MS. BHATT: Thank you, Dr. Oates.

1 Next, Dr. Sanghani.

2 DR. SANGHANI: Hi. I'm Rupa Sanghani. I'm  
3 a nuclear cardiologist at Rush University in  
4 Chicago.

5 MS. BHATT: Thank you.

6 Next, we have our industry representative,  
7 Dr. Mintun.

8 DR. MINTUN: Mark Mintun from Eli Lilly and  
9 Company and Avid Radiopharmaceuticals.

10 MS. BHATT: Thank you, Dr. Mintun.

11 Next, we'll move on to our temporary voting  
12 members starting with Dr. Applegate.

13 DR. APPLGATE: Good morning. I'm Kimberly  
14 Applegate, a pediatric radiologist retired from the  
15 University of Kentucky in Lexington.

16 MS. BHATT: Thank you, Dr. Applegate.

17 Next, we have Dr. Dewaraja.

18 DR. DEWARAJA: Hi. I'm Yuni Dewaraja,  
19 Department of Radiology at University of Michigan.

20 MS. BHATT: Thank you.

21 Next, we have our patient representative,  
22 Ms. Gillespie.

1 MS. GILLESPIE: Hi. Terry Gillespie,  
2 patient advocate, Chicago, Illinois.

3 MS. BHATT: Thank you.

4 Next, we have Dr. Larson.

5 DR. LARSON: Steven Larson, Memorial Sloan  
6 Kettering Cancer Center.

7 MS. BHATT: Thank you, Dr. Larson.

8 Next, we have Dr. Nedrow.

9 DR. NEDROW: Hi. Jessie Nedrow, University  
10 of Pittsburgh, the Hillman Cancer Center.

11 MS. BHATT: Thank you, Dr. Nedrow.

12 Next, we have our chairperson, Dr. Royal.

13 DR. ROYAL: I'm at Washington University in  
14 St. Louis.

15 MS. BHATT: Thank you, Dr. Royal.

16 And Dr. Xiong?

17 DR. XIONG: Chengjie Xiong, Washington  
18 University School of Medicine, St. Louis, Missouri.

19 MS. BHATT: Thank you, Dr. Xiong.

20 Next, we'll move on to introductions of our  
21 FDA participants.

22 First, we have Dr. Ganley.

1 (No response.)

2 MS. BHATT: Are the FDA participants able to  
3 introduce themselves?

4 First, we have Dr. Ganley.

5 DR. KREFTING: Ira Krefting, director for  
6 safety, Medical Imaging and Radiation Medicine  
7 Division.

8 MS. BHATT: Thank you.

9 DR. COTTER: Samantha Cotter, safety  
10 evaluator from the Division of Pharmacovigilance in  
11 the Office of Surveillance and Epidemiology at FDA.

12 DR. COHEN: Jonathan Cohen, supervisory  
13 pharmacologist supporting Imaging and Radiation  
14 Medicine.

15 DR. PLYKU: Donika Plyku, physicist at the  
16 Division of Imaging and Radiation Medicine at CDER.

17 DR. FOTENOS: Anthony Fotenos, clinical team  
18 leader in the Division of Imaging and Radiation  
19 Medicine.

20 DR. HOFLING: Alex Hofling, deputy director,  
21 Division of Imaging and Radiation Medicine.

22 DR. MARZELLA: Lou Marzella, and I'm the

1 director of the Division of Imaging and Radiation  
2 Medicine.

3 MS. BHATT: Thank you.

4 That concludes our panel and FDA  
5 introductions, and back to you, Dr. Royal.

6 DR. ROYAL: For the topics such as those  
7 being discussed at this meeting, there are often a  
8 variety of opinions, some of which are strongly  
9 held. Our goal is that this meeting will be a fair  
10 and open forum for discussion of these issues and  
11 that individuals can express their views without  
12 interruption. Thus, as a gentle reminder,  
13 individuals will be allowed to speak into the  
14 record only if recognized by the chairperson. We  
15 look forward to a productive meeting.

16 In the spirit of the Federal Advisory  
17 Committee Act and the Government in the Sunshine  
18 Act, we ask that the advisory committee members  
19 take care that their conversations about the topic  
20 at hand take place in the open forum of this  
21 meeting.

22 We are aware that members of the media are

1 anxious to speak with the FDA about these  
2 proceedings; however, the FDA will refrain from  
3 discussing the details of this meeting until its  
4 conclusion. Also, the committee is reminded to  
5 please refrain from discussing the meeting topics  
6 during breaks. Thank you.

7 Rhea Bhatt will read the Conflict of  
8 Interest Statement for the meeting.

9 **Conflict of Interest Statement**

10 MS. BHATT: Thank you, Dr. Royal.

11 The Food and Drug Administration is  
12 convening today's meeting of the Medical Imaging  
13 Drugs Advisory Committee under the authority of the  
14 Federal Advisory Committee Act, or FACA, of 1972.  
15 With the exception of the industry representative,  
16 all members and temporary voting members of the  
17 committee are special government employees or  
18 regular federal employees from other agencies, and  
19 are subject to federal conflict of interest laws  
20 and regulations.

21 The following information on the status of  
22 this committee's compliance with federal ethics and



1 conflict of interest laws, covered by but not  
2 limited to those found at 18 U.S.C. Section 208, is  
3 being provided to participants in today's meeting  
4 and to the public.

5 FDA has determined that members and  
6 temporary voting members of this committee are in  
7 compliance with federal ethics and conflict of  
8 interest laws. Under 18 U.S.C. Section 208,  
9 Congress has authorized FDA to grant waivers to  
10 special government employees and regular federal  
11 employees who have potential financial conflicts  
12 when it is determined that that agency's need for a  
13 special government employee's services outweighs  
14 their potential financial conflict of interest, or  
15 when the interest of a regular federal employee is  
16 not so substantial as to be deemed likely to affect  
17 the integrity of the services which the government  
18 may expect from the employee.

19 Related to the discussions of today's  
20 meeting, members and temporary voting members of  
21 this committee have been screened for potential  
22 financial conflicts of interests of their own as

1 well as those imputed to them, including those of  
2 their spouses or minor children and, for purposes  
3 of 18 U.S.C. Section 208, their employers. These  
4 interests may include investments; consulting;  
5 expert witness testimony; contracts, grants,  
6 CRADAs; teaching, speaking, writing; patents and  
7 royalties; and primary employment.

8 Today's agenda involves the discussion of  
9 dosimetry data needed to support the initial  
10 clinical study in an original IND application for  
11 certain new positron emission tomography or PET  
12 drugs. FDA would like to obtain the committee's  
13 input on the following: 1) the sufficiency of  
14 available data from animal or human studies  
15 involving certain positron emitting  
16 radionuclides -- for example, carbon-11 and  
17 fluorine-18 -- to allow a reasonable calculation of  
18 radiation-absorbed dose to the whole body and  
19 critical organs upon administration of a new PET  
20 drug containing certain radionuclides to a human  
21 subject in first-in-human studies; and 2) the  
22 reasonableness of a proposed list of numerical

1 radioactivity thresholds for new PET drugs  
2 containing these radionuclides, such that phase 1  
3 studies will both a) administer subthreshold  
4 activities, and b) obtain sufficient human data so  
5 dosimetry calculations may be found safe to proceed  
6 in the absence of dosimetry data, based on prior  
7 animal administration of the new PET drug under  
8 investigation.

9 This is a particular matters meeting during  
10 which general issues will be discussed. Based on  
11 the agenda for today's meeting and all financial  
12 interests reported by the committee members and  
13 temporary voting members, no conflict of interest  
14 waivers have been issued in connection with this  
15 meeting.

16 To ensure transparency, we encourage all  
17 standing committee members and temporary voting  
18 members to disclose any public statements that they  
19 have made concerning the topic at issue. With  
20 respect to FDA's invited industry representative,  
21 we would like to disclose that Dr. Mark Mintun is  
22 participating in this meeting as a non-voting

1 industry representative, acting on behalf of a  
2 regulated industry. Dr. Mintun's role at this  
3 meeting is to represent industry in general and not  
4 any particular company. Dr. Mintun is employed by  
5 Eli Lilly and Company.

6 With regard to FDA's guest speaker, the  
7 agency has determined that the information to be  
8 provided by the speaker is essential. Dr. William  
9 Hallett has acknowledged that he is employed by  
10 Invicro as head of Imaging Physics. As a guest  
11 speaker, Dr. Hallett will not participate in  
12 committee deliberations, nor will he vote.

13 We would like to remind members and  
14 temporary voting members that if the discussions  
15 involve any other topics not already on the agenda  
16 for which an FDA participant has a personal or  
17 imputed financial interest, the participants need  
18 to exclude themselves from such involvement, and  
19 their exclusion will be noted for the record. FDA  
20 encourages all other participants to advise the  
21 committee of any financial relationships that they  
22 may have regarding the topic that could be affected

1 by the committee's discussions.

2 Thank you, and back to you, Dr. Royal.

3 DR. ROYAL: We will now proceed with the FDA  
4 introductory comments from Dr. Anthony Fotenos.

5 **FDA Introductory Comments - Anthony Fotenos**

6 DR. FOTENOS: Good afternoon. I'm Anthony  
7 Fotenos, nuclear medicine physician and clinical  
8 team leader in the Division of Imaging and  
9 Radiation Medicine. Welcome to the Medical Imaging  
10 Drugs Advisory Committee. The last time this  
11 committee met, our division was known as the  
12 Division of Medical Imaging Products, or DMIP, but  
13 in 2017, our name changed, so now we go by D-I-R-M  
14 or DIRM.

15 FDA convened this advisory committee meeting  
16 to discuss issues involving pre-IND and phase 1  
17 radiation dosimetry data for certain groups of new  
18 positron emission tomography or PET drugs. First,  
19 a comment regarding scope.

20 Today's meeting is classified as a general  
21 matter type meeting. This means that product,  
22 sponsor, and/or application specific issues and

1 questions will not be discussed, nor are any thumbs  
2 up or down votes planned; rather, the general  
3 matter issue we will be discussing reflect  
4 stakeholder concern regarding burden of animal  
5 dosimetry data collection for certain groups of new  
6 PET imaging drugs. The rationale for the meeting  
7 is stakeholder and FDA's preliminary position that  
8 data already available often allows reasonable  
9 calculation of radiation risk for human subjects  
10 prior to collection of phase 1 dosimetry data.

11 Where we need your advice is regarding  
12 sponsors of new INDs for certain groups of PET  
13 drugs, specifically when sponsors would prefer not  
14 to submit drug-specific animal dosimetry data. We  
15 will be asking you to discuss the sufficiency of  
16 reviewed dosimetry data and the reasonableness of  
17 the approach under consideration for  
18 investigational administration prior to the  
19 availability of phase 1 dosimetry data such that  
20 for administration less than or equal to X, FDA may  
21 generally find administered activity safe to  
22 proceed from a radiation safety perspective,

1       whereas for administration greater than X, the  
2       status quo will be maintained of case-by-case IND  
3       review regarding the reasonableness of available  
4       animal or human dosimetry data.

5               As you will learn in greater detail later,  
6       the approach under consideration is essentially a  
7       leveraging approach where X is derived from dosing  
8       and administration FDA has already found to be safe  
9       and effective in corresponding prescribing  
10       information.

11               You should have a copy of the complete  
12       agenda. Here's a brief outline. Dr. Hallett,  
13       medical physicist at Invicro, will provide a  
14       scientific overview and share his perspective from  
15       industry. Then Dr. Zanotti-Fregnoara, staff  
16       scientist from the section on PET Neuroimaging  
17       Science and Branch of Molecular Imaging at the  
18       National Institute of Mental Health NIH, will share  
19       his perspective from an active translational  
20       laboratory.

21               FDA will speak next. Dr. Plyku, our  
22       division's medical physicist, will provide a

1 summary of FDA's systematic review of publicly  
2 available dosimetry data and discuss the approach  
3 under consideration. Finally, Dr. Cohen, from the  
4 Office of Rare Diseases, Pediatrics, Urology, and  
5 Reproductive Medicine, and Dr. Cotter, from the  
6 Office of Pharmacovigilance and Epidemiology, will  
7 provide brief perspectives on PET drug radiation  
8 safety from their pharmacology/toxicology and  
9 pharmacovigilance disciplines, respectively.  
10 Finally, there will be an open public hearing, and  
11 then the discussion questions will be posed to the  
12 panel.

13 But first, I'd briefly like to introduce PET  
14 drugs within a broader regulatory and historical  
15 context. This table spans the next two slides and  
16 encapsulates some regulatory milestones at the  
17 intersection of nuclear medicine and FDA's Center  
18 for Drug Evaluation and Research. Highlighted in  
19 yellow for each year is the introduction of a  
20 formal definition within federal act, regulation,  
21 or guidance of terms specifically relevant to the  
22 field of nuclear medicine. Since these terms may



1 often be used more loosely elsewhere, I hope this  
2 regulatory introduction also helps to keep us all  
3 on the same page in terms of nomenclature.

4           The table starts in 1975. That's when new  
5 drug regulation defined the term "radioactive drug"  
6 as a drug or biological product exhibiting  
7 spontaneous disintegration of unstable nuclei with  
8 the emission of nuclear particles or photons.  
9 These 1975 regulations also ended an exception  
10 agreed to in 1963 with the Nuclear Regulatory  
11 Commission, then called the Atomic Energy  
12 Commission, for oversight of investigational use,  
13 and provided certain new authority for oversight of  
14 basic research to FDA-authorized radioactive drug  
15 research committees, or RDRCs, a program that  
16 remains in place to this day.

17           The year 1987 saw a rewrite of FDA's IND  
18 regulations, including two sentences on radioactive  
19 drugs and data on radiation-absorbed dose. We'll  
20 come back to these two sentences shortly because  
21 they provide an essential framework for today's  
22 discussion.

1           Fast forward 10 years to 1997. That's the  
2 year Congress passed the FDA Modernization Act or  
3 FDAMA. FDAMA defined a new subset of radioactive  
4 drugs using the term "PET drugs." FDAMA defined  
5 PET drugs as articles exhibiting spontaneous  
6 disintegration of unstable nuclei by the emission  
7 of positron particles.

8           Emitted positron particles annihilate  
9 locally with electrons to release dual 511 keV  
10 photons from where they are capable of leaving the  
11 body for diagnostic imaging with a PET camera.

12 FDAMA also defined an encompassing group of  
13 radioactive drugs using the term  
14 "radiopharmaceutical," including single photon  
15 emitters and defined by a common intended use of  
16 diagnosing or monitoring rather than treating  
17 disease.

18           In 1999, Part 315 was added to the Code of  
19 Federal Regulations directly after Part 314, the  
20 part describing new drug applications. 21 CFR 315  
21 further applied the statutory requirements outlined  
22 two years earlier under FDAMA for diagnostic

1 radiopharmaceuticals, as did CDER's three-part  
2 guidance published in 2004, entitled Developing  
3 Medical Imaging Drugs and Biological Products.

4 Most recently in 2017, the FDA  
5 Reauthorization Act, or FDARA, introduced a new  
6 520(p) pathway for approving certain new uses of  
7 approved drugs under 510(k), de novo, or PMA device  
8 marketing applications. Notably, under  
9 Section 706, FDARA expanded the definition of  
10 contrast agents. Under this expanded definition,  
11 contrast agents include both diagnostic  
12 radiopharmaceuticals and non-radioactive drugs,  
13 with both essentially defined by their shared  
14 characteristic of serving to increase relative  
15 signal intensity for diagnostic or monitoring  
16 purposes.

17 Finally, less than a year ago in the Food  
18 and Drug Omnibus Reform Act of 2022, Congress again  
19 leveraged an expansive definition of "contrast  
20 agent" to clarify that all radioactive drugs and  
21 all medical imaging agents remain legally defined  
22 as drugs.

1           For those who might prefer to see  
2 information visually, this slide provides a  
3 Venn-like depiction of the terms just highlighted.  
4 Again, from a regulatory perspective, this meeting  
5 provides an opportunity to discuss pre-IND and  
6 phase 1 dosimetry data at the intersection of PET  
7 drugs -- the box at slide center -- and 21 CFR  
8 312.23, the IND dosimetry regulation introduced  
9 above and excerpted in full on the slide that  
10 follows.

11           Under the heading "Additional Information,"  
12 FDA's IND regulations state that in certain  
13 applications, as described below, information on  
14 special topics may be needed. Such information  
15 shall be submitted as follows. If the drug is a  
16 radioactive drug, sufficient data from animal or  
17 human studies to allow a reasonable calculation of  
18 radioactive-absorbed dose to the whole body and  
19 critical organs upon administration to a human  
20 subject. Phase 1 studies of radioactive drugs must  
21 include studies which will obtain sufficient data  
22 for dosimetry calculations. With this basic

1 regulatory foundation introduced, I'll conclude by  
2 previewing FDA's discussion points to the advisory  
3 committee.

4           These will be displayed again after the  
5 guest speaker, FDA presentations, and open public  
6 hearing at the end of the afternoon. First, we  
7 will ask the committee to discuss the sufficiency  
8 of reviewed data from animal or human studies  
9 involving fluorine-18; carbon-11; gallium-68;  
10 copper-64; rubidium-82; and ammonia-13 to allow a  
11 reasonable calculation of radiation-absorbed dose  
12 to the whole body and critical organs upon  
13 first-in-human administration of a new PET drug  
14 containing one of radionuclides.

15           Second, we will ask the committee to discuss  
16 the reasonableness of the approach under  
17 consideration involving administered activities for  
18 new PET drugs containing one of these radionuclides  
19 such that phase 1 studies that will both initially  
20 administer one or more activity levels less than or  
21 equal to the value specified, and collect  
22 sufficient human data for dosimetry calculations,

1 may generally be found safe to proceed from a  
2 radiation safety perspective in the absence of  
3 dosimetry data based on prior animal administration  
4 of the new PET drug under investigation.

5 I will now turn the podium over to our first  
6 guest speaker, Dr. Hallett. Thank you.

7 **Guest Speaker Presentation - William Hallett**

8 DR. HALLETT: Hello, and thank you for the  
9 introduction. I'm going to give you a perspective  
10 somewhat from the UK because the imaging center  
11 that I work in is based in the UK. This is our  
12 facility in London. It was originally built by  
13 GlaxoSmithKline to support drug development, and to  
14 cut a long story short, it's now part of Invicro.  
15 We have imaging centers both in the U.S. and the  
16 UK. The UK one is essentially a PET facility, and  
17 we mostly work with carbon-11 and fluorine-18 and  
18 not some of the longer-lived isotopes that you  
19 mentioned there; so I can only really give you a  
20 perspective on that aspect.

21 I'm going to set the scene for the  
22 discussion today. I realize this is a panel of

1 experts, and much of this will be familiar, but  
2 hopefully it's useful for other people dialing in  
3 and listening into this.

4           Why do we need radiation dosimetry? The  
5 driver for this is that the basic principles of  
6 radiation protection that apply to medical  
7 exposures are that we should justify and optimize  
8 dose exposures. From the European perspective, in  
9 the EU, there is the basic safety standards  
10 directive. The UK of course has left the EU, but  
11 we're still going to follow the same abiding  
12 principles, and the relevant legislation in the UK  
13 that followed on from the basic safety standards is  
14 the Ionising Radiation Medical Exposure  
15 Regulations, and that's unlikely to significantly  
16 change.

17           So in terms of the radiation dose to  
18 clinical subjects, in terms of imaging, we're  
19 really considering stochastic risks at relatively  
20 low dose, and those risks are, to some extent, a  
21 little bit uncertain. We would be working below  
22 the threshold for tissue effects, for example, in

1 nearly all cases in PET.

2 For patients, that's implemented using  
3 diagnostic reference levels, which are generally  
4 agreed guidance levels for particular procedures,  
5 and there's no dose limit as such. For research  
6 subjects, we need to obtain ethical approval, and  
7 we have to provide some estimate of risk from the  
8 radiation exposure. We need to put that in some  
9 context that can be understood by the subjects and  
10 appreciated in terms of other risks; for example,  
11 equivalent background exposures, the increased  
12 potential risk of cancer induction later in life.  
13 The formal framework for that is to use what we  
14 call dose constraints, and there are guidelines  
15 surrounding those constraints.

16 So just going back to basics and reviewing  
17 the framework that we use for radiation dose, we  
18 start with the absorbed dose, which is the energy  
19 transferred by radiation to the subject per unit  
20 mass, and for imaging procedures, we are in the  
21 milligray domain, which is millijoules per kilogram  
22 of tissue.



1           Radiation risk also depends not only on the  
2 administered radiopharmaceutical or drug, but also  
3 on where it goes in the body: the tissues, the  
4 organs that are exposed. The radiation sensitivity  
5 of those tissues is known to differ from one organ  
6 to the next, and that's encoded in these  
7 tissue-weighting factors. We also have  
8 radiation-weighting factors, but in the context of  
9 PET or PET/CT, even those factors are all one, so  
10 we can more or less ignore that.

11           Then the effective dose is to sum those  
12 contributions over all the organs as a weighted  
13 sum, and the unit there is the sievert. And just  
14 to set that in context, for the UK, the average  
15 background radiation dose is something like  
16 2.3 millisieverts per year. For employees, there  
17 is a dose limit, which is 20 millisieverts a year,  
18 but very few radiation workers would get anywhere  
19 near those kinds of occupational exposures. And in  
20 terms of translating that into a risk, the ICRP  
21 recommended risk factor is 1 in 20,000, although,  
22 as I've already mentioned, it's somewhat uncertain

1 at low dose.

2 So overall then, we can say that factors  
3 affecting PET doses are the PET drug, the  
4 radioisotope that's being delivered, and then how  
5 the body processes that in terms of biodistribution  
6 and excretion. So you can generalize those factors  
7 in terms of the subject's age, sex, weight, health,  
8 and their current condition. The classic example  
9 of that is with FDG, where you get a different  
10 uptake pattern if the subject has recently eaten to  
11 being fasted, and obviously you want to standardize  
12 against that.

13 The standard approach to PET dosimetry is to  
14 use a mathematical model that contains a simplified  
15 human phantom. We know that a uniform body  
16 distribution is simply too inaccurate if we assume  
17 that, and in order to obtain the distribution in  
18 the body, we need to get some information either  
19 from preclinical experiment in, say, rodents, where  
20 we can take tissue samples or an imaging study.  
21 The results of such a calculation enable us to  
22 compare medical exposures of different PET drugs

1 and estimate radiation risk, but they are not  
2 accurate enough to individually plan doses. We're  
3 not actually measuring the doses to organs. At the  
4 bottom there, you can see the difference between a  
5 simple uniform estimation and a more sophisticated  
6 one taking account by distribution.

7 So the inputs into that model that we need  
8 to obtain are the measurements of radioactivity  
9 concentration at different time points. We then  
10 integrate that over time, and then multiply by  
11 standardized organ mass to obtain these time  
12 integration activity coefficients. You can think  
13 of it as a mean residence time in the organ because  
14 it has the units of time.

15 This is the OLINDA code, which is now the  
16 widely used code to do these calculations. The  
17 beauty of this is that all the complicated physics  
18 calculations in terms of absorbed dose within an  
19 organ and between organs has already been done.  
20 You just need to input the actual activities in  
21 each organ. If you just click on, you can see the  
22 output of that. You get the organ absorbed in

1 equivalent doses and also the summed effective  
2 doses, depending on which weighting factor scheme  
3 you want to use.

4 For a preclinical PET dosimetry  
5 experiment -- and I'm talking about rodents here in  
6 particular -- you give the tracer to multiple  
7 subjects, one subject per time point. You then  
8 harvest the organs at that time point. You weigh  
9 samples and count the radioactivity in a gamma  
10 counter. Multiple counters are really ideal here  
11 because you have quite a lot of samples to count  
12 when you have a short half-life to contend with.

13 Then you have to scale that information to  
14 in some way adjust it to the human situation, so  
15 what you're doing there, really, is adjusting for  
16 the relative organ weight and also the total body  
17 weight. You can't possibly adjust for differences  
18 in metabolism. Then these resulting coefficients  
19 are entered into the code, OLINDA in this case.

20 For a clinical study, we give the tracer to  
21 multiple human subjects for carbon-11 or  
22 fluorine-18. The scans will take anywhere between

1 90 minutes to 4 hours. We do multiple time points,  
2 perhaps six or so, increasing the spacing between  
3 them post-injection, and then we can generate the  
4 same curve. If you press on, you'll see a little  
5 movie there. There we go. Then you have to  
6 generate your data in terms of drawing regions of  
7 interest over the organs, and then that's what's  
8 input into OLINDA. And that's our drawing, the  
9 regions of interest over the CT that we get as a  
10 convenience, an extra bit of data. We need the CT  
11 anyway for attenuation correction purposes, and  
12 that's just the curves that you generate.

13 Just to summarize the data that we've  
14 collected over the years, we've done 24 dosimetry  
15 studies since 2012, mostly in the rat but some in  
16 human. You can see that the carbon-11 cluster  
17 quite tightly around 5, 5 and a half microsieverts  
18 per megabecquerel. The fluorine-18 is a bit more  
19 of a spread, but again it's clustering around 24-25  
20 microsieverts per megabecquerel. So on average, we  
21 get a fairly consistent answer.

22 If you look at where we've done both

1 preclinical and human dosimetry, well, clearly  
2 there's a difference there in those two cases.  
3 Where we've repeated the preclinical study, which  
4 is not something we would normally have to do, but  
5 where we've done it, even years apart, we get a  
6 very similar result. So this suggests that the  
7 methodology is repeatable, at least within center,  
8 but there is some difference between preclinical  
9 and human estimation.

10 In terms of study timelines, for a  
11 preclinical study, we've sometimes been asked to do  
12 it as quickly as possible, and as long as you have  
13 the staff available and equipment is available, the  
14 fastest turnaround we've been able to do is about a  
15 month. If you click on for comparison for a  
16 clinical study, it's a much longer process. You  
17 have to make sure that you can produce the  
18 radiopharmaceutical to GMP standard; and because  
19 it's going into man, you have to get all your  
20 regulatory approval done, including ethics, as well  
21 as expert opinion on the use of a particular  
22 radiopharmaceutical.

1           You have to recruit your subjects; that can  
2 take months. It can be harder to get a patient  
3 group if that's what you're interested in. Then  
4 you've got to do a more complicated analysis  
5 involving region drawing over the images and so on.  
6 All of this adds time, so we're talking about  
7 something like a year, and it's also, at least in  
8 order of magnitude, more expensive.

9           So looking at this a slightly different way,  
10 in terms of our in-house dosimetry, we've done  
11 17 clinical ligands, carbon-11 and fluorine-18  
12 only, and another 55 ligands used clinically, where  
13 we've got the dosimetry from other sources. You  
14 can see from the literature and those other sources  
15 that there's variable quality in the data  
16 differences in the methodology used.

17           Some of the data has been around for a  
18 while, as the scanners have improved considerably  
19 since then and a different species used. There are  
20 details that are, to some extent, unknown or just  
21 aren't mentioned in the source that you're looking  
22 at, for example, what's the assumptions made about

1 emptying of the bladder; are they all healthy or  
2 some are patients; and so on.

3           Nonetheless, on average, this is consistent  
4 between clinical and preclinical. Carbon-11 is  
5 coming out around 5, within 2 microsieverts per  
6 megabecquerel and F-18 around 25, a bigger range.  
7 I guess you don't really know whether it's due to  
8 methodology or metabolism, and almost certainly  
9 there's contributions from both in there.

10           In terms of translation, I've already  
11 mentioned that you can have discordancy between the  
12 preclinical and clinical estimate. It can be  
13 higher or lower, in fact; so there are examples of  
14 both there. But again, within center, within our  
15 center, the methodology seems to be repeatable;  
16 it's just the translation that's less so.

17           To summarize what those limitations of  
18 preclinical dosimetry are, there are differences in  
19 metabolism, which you can't really correct for and  
20 are expected to be more rapid in smaller species.  
21 There are differences in anatomy. Famously, the  
22 rat lacks a gallbladder, so that affects the dose



1 that you see in the small intestine. You have to  
2 extrapolate renal excretion from your preclinical  
3 experiment to a human voiding model. So for a  
4 particular tracer, it's fair to say it's not  
5 reliable, really, for the human dosimetry estimate;  
6 and that's even true for non-human primates, which  
7 we don't do in the UK, but just looking at the  
8 literature, there are still differences.

9 In terms of arguments for and against  
10 preclinical dosimetry, while there's always an  
11 intention to reduce the number of animals used in  
12 research wherever possible, on the other hand, it  
13 does give forewarning in the early stage of  
14 development of any unusual kinetics or uptake. But  
15 it's fair to say that it's not really a reliable  
16 predictor for human dosimetry in an individual  
17 case.

18 I've been asked to comment on the  
19 differences in the radiation protection frameworks  
20 between Europe and the U.S. In Europe, including  
21 the UK, it's the effective dose that we look at,  
22 and the guidance is to keep that below

1 10 millisieverts a year for healthy subjects. You  
2 can exceed that in certain situations, for example,  
3 with a subject group with reduced life expectancy  
4 or more elderly subjects because of the reduction  
5 in radiation risk with age. You need more  
6 justification for younger healthy subjects. We  
7 never scan below 18, for example. Preclinical data  
8 is considered acceptable if no human data is  
9 available and, in fact, we've even done dosimetry  
10 studies where we had no preclinical data at all.  
11 That would have to be justified on an individual  
12 basis.

13 That limit -- I shouldn't say limit; it's  
14 very much a guidance -- is also applicable to  
15 dosimetry studies. So if you're doing a dosimetry  
16 study in healthy volunteers, you've still got to  
17 keep within your 10 millisieverts a year, and that  
18 includes the CT component, which can be as much as  
19 half of the dose for a dosimetry study in man. In  
20 the U.S., my understanding is that you're looking  
21 at both the effective dose and the critical organ  
22 doses, and usually staying within 50 millisieverts

1 a year or 30 millisieverts for more radiosensitive  
2 organs, but this leads to typically higher doses in  
3 the U.S. than in Europe.

4 This is the guidance from our regulator in  
5 the UK, and you can see that for an application to  
6 use a novel PET tracer, they would like an estimate  
7 of the effective dose, which is based on the best  
8 available information at the time. But there is a  
9 lot of flexibility in that. They're a panel of  
10 experts, and they will take into account other  
11 factors such as tracers expected in a very similar  
12 profile, for example.

13 In terms of the European guidance for  
14 radiodiagnostics, which are radiotracers which may  
15 have widespread clinical application, the  
16 requirements are in terms of pharmacology,  
17 pharmacokinetics, and toxicology, and the  
18 pharmacokinetics would include a dosimetry  
19 component. The toxicology for these tracers, where  
20 there is expected to be no pharmacological effect  
21 and it's given a very low dose, can be a reduced  
22 tox package.

1           In terms of what the impact is on study  
2 design within our center, we mostly do brain,  
3 although we mostly do whole-body studies as well.  
4 You can see that if you're trying to work within a  
5 10 millisievert per annum dose constraint, you're  
6 talking about something like only up to 4 carbon-11  
7 scans. That's usually more than we need. Not many  
8 studies need four, and you may have an extended  
9 time in which to do those, so that would be relaxed  
10 a bit. But for fluorine-18, that really limits you  
11 to about 2 scans within a year. But if you're  
12 looking at disease progression, you're probably  
13 looking over a longer time scale anyway.

14           So thinking a little bit ahead about what  
15 might be alternative approaches to performing these  
16 dosimetry studies either in preclinical/clinical  
17 situations for short-lived tracers, well, one  
18 approach would be to consider a conservative  
19 default effective dose. I don't wish to propose a  
20 particular figure, but most tracers would fall  
21 below the figures that I've given there.

22           If you then need to characterize that a bit

1 more in man because you're not sure about that, you  
2 could consider a single whole-body human scan to  
3 characterize uptake. I know that approach is used  
4 in some centers in Europe. The question would be  
5 whether that was sufficiently representative of the  
6 population you want to study. Then moving on, if  
7 that tracer is going to be used more widely -- for  
8 example, if it's going to be used as a clinical  
9 radiodiagnostic -- at that point, you might want to  
10 consider actually performing a proper human  
11 dosimetry study, but that wouldn't really apply to  
12 most carbon-11 labeled PET drugs.

13 Thank you. I think we're at the end. Thank  
14 you.

15 DR. ROYAL: Thank you very much,  
16 Dr. Hallett. We will now proceed with a speaker  
17 presentation from Dr. Paolo Zanotti-Fregonara.

18 **Speaker Presentation - Paolo Zanotti-Fregonara**

19 DR. ZANOTTI-FREGONARA: Hi. Good morning,  
20 and thanks for inviting me to this meeting. My  
21 name is Paolo Zanotti-Fregonara, and I work in the  
22 lab in the National Institute of Mental Health

1 called the Molecular Imaging Branch. This is a lab  
2 whose goal is to create new PET research, so  
3 essentially for brain diseases. So therefore, we  
4 are often in the situation where we inject new  
5 radioligands in humans under INDs. Of course,  
6 along the usual safety assessment, we need to have  
7 an estimation of the dosimetry. I'm talking only  
8 about carbon-11 and F-18 here because these are the  
9 isotopes that we use.

10 In the past years, we have tried to simplify  
11 and streamline the dosimetry part of the validation  
12 of new ligands, and we summarized our approach and  
13 proposal in three opinion papers that were  
14 published in the journals of our field, and the  
15 scope of this talk is to give you an overview of  
16 these three papers and explain the rationale behind  
17 them.

18 To summarize the main points, animal  
19 dosimetry is resource-intensive and poorly predicts  
20 human values. Human dosimetry is even more  
21 expensive, exposes multiple subjects to radiation,  
22 and you may find out at the end that the tracer

1 doesn't work because creating new radioligands is a  
2 type of research with a high risk of failure, and  
3 it's only when you really explore the organ, like  
4 the brain, that you will discover whether the  
5 tracer works or not.

6           Finally, the dose for specifically carbon-11  
7 tracers we think is very predictable and primarily  
8 based on the isotope, so the proper solution would  
9 be to abandon animal dosimetry for both F-18 and  
10 carbon-11 to postpone dosimetry until the tracer is  
11 proven to work, and specifically abandon human  
12 carbon-11 dosimetry, even in humans, and use an  
13 average dose.

14           Until, let's say, 10 years ago, this was the  
15 traditional pathway we used at NIH for new  
16 radioligands. We would first perform human  
17 dosimetry in monkeys. I am aware that the FDA does  
18 not mandate the use of monkeys, but we used monkeys  
19 as a model because we have easy access to monkeys,  
20 and they are, of course, the best model. Once the  
21 dosimetry in monkeys was done, we would do  
22 dosimetry in humans, which means acquiring 5 to 10

1 whole-body scans and calculating the dosimetry.  
2 Once the dosimetry is known, then we would test the  
3 validity of the new tracer, for example, by doing  
4 brain studies. The problem with this pathway is  
5 immediately evident. By the time you discover that  
6 the tracer does not work, you have already done all  
7 the animals and human dosimetry, so you have spent  
8 a lot of money, you have irradiated subjects, and  
9 used the resources for nothing.

10 We first published these two papers about  
11 10 years ago in which we argued that, first, animal  
12 dosimetry should be abandoned because it poorly  
13 predicts the human dose. Then we proposed to  
14 validate the new tracers directly in humans by  
15 injecting first a single human subject with low  
16 activity and do a whole-body scan.

17 The reason was to check whether the  
18 biodistribution of the tracer was not unusual. In  
19 particular, we wanted to avoid that there was an  
20 abnormal disproportionate accumulation in one organ  
21 that would give a high organ dose. If that is not  
22 the case, then we would proceed with brain scans to



1 determine whether the radioligand is worth  
2 pursuing. If it is, then we would go back to the  
3 dosimetry part and complete the dosimetry studies.

4 This is the approach that we have been using  
5 for the past 10 years because it was submitted to  
6 our radiation safety committee, and it was  
7 approved. Then more recently, a couple of years  
8 ago, we published this other paper in which we  
9 argued that carbon-11 dosimetry should be abandoned  
10 altogether, even for humans, and instead we would  
11 use an average effective dose of 5 microsieverts  
12 per megabecquerel. This was the contents of the  
13 letter, and now I'm going to give you the data  
14 these recommendations are based on.

15 First, for animal studies, monkeys poorly  
16 predict human dosimetry. In the literature, there  
17 are 16 carbon-11 tracers and 21 F-18 tracers for  
18 which the dosimetry of humans and monkeys is  
19 available. In terms of effective dose, the monkey  
20 scans unpredictably under- or over-estimated the  
21 human effective dose with a mean difference of  
22 about 30 percent. The organ dose is not

1       surprisingly even less well estimated.

2               In particular, in only one-third of the  
3 tracers, the target organ was the same between the  
4 two species. So the target organ is the organ that  
5 receives the highest dose and is more likely the  
6 limiting factor for the amount of dose activity you  
7 can give to humans. In terms of the cases, monkeys  
8 were not even able to predict which was the target  
9 organ, let alone calculate the dose.

10              As I was saying, in the lecture, we spoke  
11 only about the monkeys because this is the best  
12 model for humans with the understanding that if  
13 even monkeys cannot estimate what is the dose to  
14 humans, there isn't a chance that mice can, and  
15 indeed, I came in contact recently with a German  
16 team from Leipzig. They don't have access to  
17 monkeys, so they routinely use mice and piglets for  
18 human dosimetry, and they have results that are  
19 very different from the actual human dosimetry, so  
20 they are trying to convince the German FDA to let  
21 them abandon human dosimetry as well. So we're not  
22 the only ones with this line of thinking, and I

1 suspect that there are more.

2 This graph shows you the doses in humans.  
3 These are not extrapolated from monkeys. These are  
4 human doses of all tracers published in the  
5 literature that I could find. There are  
6 77 carbon-11 tracers and 144 F-18 tracers. The  
7 average dose for carbon-11 is 5 microsieverts per  
8 megabecquerel. The average dose for F-18 is  
9 20 microsieverts per megabecquerel, 4 times larger.

10 Even without me giving you the value of  
11 these kind of deviations, you can visually see how  
12 the carbon-11 doses are most tightly clustered  
13 around the mean of 5, so we propose to use an  
14 average carbon-11 dose for humans. One may object  
15 that if we use an average dose, we may miss some  
16 outlying value. So if you click once again, you  
17 see there is this very high outlying point for  
18 carbon-11, which has a dose of about  
19 15 microsieverts per megabecquerel. We are talking  
20 something like 7 standard deviations above the  
21 mean.

22 There are two things to be said. First,

1 even for such a high value, we are practically  
2 still one standard deviation below the mean for  
3 F-18. That is because the dose of carbon-11 is so  
4 low, that we are always within a safe range. But  
5 second, I will say that when you find the value  
6 that is so outlying and so unique compared to  
7 everything else that you published, you cannot  
8 exclude the hypothesis that there were some issues  
9 with the data analysis.

10 This is a standard carbon-11 tracer for the  
11 brain receptor. There are many, and they all share  
12 similar biophysical characteristics, molecular  
13 weight, lipophilicity, so you do not expect a dose  
14 that is 7 standard deviations away. For these  
15 tracers in particular, we have animal dosimetry,  
16 which shows a standard of 5 microsieverts per  
17 megabecquerel dose. So either animal dosimetry is  
18 so bad that it cannot catch something that is  
19 7 standard deviations away or the study needs a  
20 replication.

21 We have a similar case for F-18. The second  
22 arrow, that is this very high data point of about

1 50 microsieverts per megabecquerel. And this 50  
2 actually is the average between men and women, and  
3 the average between men and women is almost a  
4 factor of 2. There can be sex differences also  
5 because you use two different anthropomorphic  
6 phantoms, but it's never a factor of almost 2.  
7 Then for this tracer, we do have a replication  
8 study in humans which found a more standard dose of  
9 about 30 microsieverts per megabecquerel and no sex  
10 differences.

11 Even if we can question these outlying  
12 values only on methodological grounds, there is  
13 still some variability around the mean of 5  
14 microsieverts per megabecquerel, so how important  
15 is this variability? I will say not very much  
16 because knowing whether the dose is slightly above  
17 or slightly below 5 really has no significant  
18 biological meaning.

19 It should not be forgotten that the  
20 variability is also explained by methodological  
21 choices. Whenever you do dosimetry analysis, you  
22 determine which are the choices that affect the

1 numbers that you find reported in the paper; for  
2 example, how you draw the region of interest around  
3 the organs; which organs you use as source organs;  
4 and, for example, the settings of the bladder.  
5 When you simulate the dose with an anthropomorphic  
6 phantom, you can decide whether the bladder voids  
7 at 1 hour after injection, or 4 hours, or 1 and  
8 4 hours, or never voids. This can change a lot of  
9 the dose to the bladder, but also it can change the  
10 effective dose because there is more or less  
11 radioactivity inside of the body.

12           There are some papers in the literature  
13 which report two sets of values with different body  
14 types, and the results can be significant or they  
15 can be in the double digits, which means that these  
16 could be the values of a completely different  
17 tracer if you chose a different voiding schedule,  
18 for example.

19           I said before that animal dosimetry is  
20 poorly predictive of human dosimetry, but also  
21 human dosimetry is poorly predictive of human  
22 dosimetry because in the literature, there are

1 18 tracers for which the effective dose was  
2 reported by two different teams mainly because  
3 there were two different teams working on the same  
4 tracers; and often unbeknownst to each other, they  
5 were working on the dosimetry paper, and then they  
6 published the results. This is a very nice natural  
7 experiment to see how reproducible is human  
8 dosimetry, and the answer is not very much. The  
9 difference can be important, and in only 3 of these  
10 18 tracers the dose difference was more than  
11 10 percent.

12 If we go to the next slide, this is to  
13 remind you that we are not the only ones  
14 questioning the utility of the scans because  
15 carbon-11 is already being abandoned somewhere.  
16 Specifically, at the University Hospital of  
17 Amsterdam, they abandoned both animal and human  
18 carbon-11 dosimetry for all tracers, except those  
19 that are expected to enter routine clinical  
20 practice. For F-18 tracers, in Amsterdam they use  
21 the protocol we adopted here at the NIH, so  
22 directly in humans but with one first whole-body

1 scan, and then validation of the tracer.

2 This is the last slide. Our opinions are  
3 that we should abandon animal dosimetry for both  
4 F-18 and carbon-11 because the doses are low for  
5 these isotopes, and the animals are not a good  
6 model. We don't think it's a justifiable use of  
7 animal research in this case. For F-18, we can go  
8 directly into humans with a single whole-body scan  
9 and then do dosimetry after the tracer has been  
10 proven valid, and for human carbon-11 dosimetry, we  
11 may simply replace with an average dose.

12 Thank you very much, and I would be happy to  
13 take questions.

14 **Clarifying Questions to Speakers**

15 DR. ROYAL: Thank you, Dr. Zanotti-  
16 Fregonara.

17 We will now take clarifying questions for  
18 Dr. Hallett and Dr. Zanotti-Fregonara. Please use  
19 the raise-hand icon to indicate if you have a  
20 question, and you'll find that under the reactions  
21 tab at the very bottom. Remember to lower your  
22 hand by clicking the raise-hand icon again after



1     you've asked your question.  When acknowledged,  
2     please remember to state your name for the record  
3     before you speak and direct your question to a  
4     specific presenter, if you can.  If you wish for a  
5     specific slide to be displayed, please let us know  
6     the slide number, if possible.

7             Finally, it would be helpful to acknowledge  
8     the end of your question with a thank you or the  
9     end of your follow-up question with, "That is all  
10    for my questions," so we can move to the next panel  
11    member.

12            Okay.  I see we have a question from Terry  
13    Gillespie.

14            MS. GILLESPIE:  Hi.  Thank you.  One of my  
15    questions is I noticed that they kept saying  
16    "healthy patients," and I'm a patient advocate.  I  
17    don't know.  I see that most people that need these  
18    types of scans or isotopes are not healthy, so I  
19    was wondering what they qualify as a healthy  
20    patient.  Thank you.

21            DR. ROYAL:  Dr. Hallett or Dr. Zanotti-  
22    Fregonara, would you like to answer that question?

1 DR. ZANOTTI-FREGONARA: Well, yes. Indeed,  
2 dosimetry analyses are usually performed on healthy  
3 patients, and then we assume dose is estimated in  
4 healthy patients, and active controls can be  
5 translatable to patients. I will say, in most  
6 cases, this is a reasonable assumption, especially  
7 when you study diseases like the brain.

8 Of course, there can be differences if  
9 there are significant organ failures with the  
10 kidneys or other organs that are supposed to clear  
11 the waste away from the body, but generally  
12 speaking, yes, this is the standard procedure. We  
13 do dosimetry estimated in healthy controls.

14 DR. HALLETT: Yes, it's a very good  
15 question. In a few of our dosimetry studies,  
16 because the biodistribution and the excretion is  
17 likely to be affected by the disease, for example,  
18 we have also been asked to do the dosimetry in  
19 patients, as well as healthy volunteers. But in  
20 any case, the dosimetry number that you get is  
21 really only valid as an average over a population.  
22 So you don't expect it to be predictive for an

1 individual person, but it's just to give you an  
2 average value that you can use to compare different  
3 tracers and which tracer you should use if you have  
4 a choice.

5 MS. GILLESPIE: My question then is, should  
6 the average be adjusted? Because 90 percent of the  
7 people using this stuff is not healthy anymore. So  
8 that means that the uptake to an organ or something  
9 else would be more likely, I would think.

10 DR. HALLETT: Yes, potentially, but it's  
11 only one of the variables. I mean, a particularly  
12 important factor, for example, is if a tracer is  
13 excreted by the kidneys, then patients are  
14 encouraged to go to the bathroom to void, get rid  
15 of the tracer that's excreted that way; so that can  
16 have a really big effect on the dose, actually. A  
17 lot of tracers are used only in healthy subjects.  
18 If we're using a tracer to investigate a particular  
19 pathway in the body -- a PET tracer which is really  
20 only being used for basic fundamental  
21 research -- then it may not be used in patients.

22 MS. GILLESPIE: Okay. That is all. Thank

1       you very much.

2               DR. ROYAL:   Okay.   That question was from  
3       Terry.   I'm looking for her last name.

4               MS. GILLESPIE:   Gillespie.

5               DR. ROYAL:   Gillespie.   Okay.

6               Dr. Xiong had his hand raised, although you  
7       may have put it down.

8               Do you have a question or comment,  
9       Dr. Xiong?

10              DR. XIONG:   Yes.   Thanks.   Chengjie Xiong  
11       again.   My question is to the last speaker.   I  
12       think maybe your next-to-last slide, when you talk  
13       about human dosimetry data are also poorly  
14       reproducible, you give the example of, I believe,  
15       18 studies of the same tracer, and they came up  
16       with different numbers.

17              Can you try to explain what are the reasons  
18       behind this, when people are using the same tracer  
19       and perhaps the same subject population as well?   I  
20       don't know; that could be a major reason.   Can you  
21       interpret or maybe explain what are the possible  
22       reasons people are using different protocols,

1 different populations, different statistical  
2 approaches? What are the major reasons behind  
3 that?

4 DR. ZANOTTI-FREGONARA: Well, I think there  
5 are multiple reasons at the same time. First,  
6 these are different subjects, which can give  
7 different time-activity curves. The way you are  
8 drawing the region of interest might be different.  
9 The organs that you choose can be different. The  
10 bladder, voiding time, and how they are set up,  
11 that can be different. The software that you use  
12 can be different.

13 There is not one major reason, but these  
14 dosimetry studies are not well harmonized, in my  
15 opinion. You can find the different approaches in  
16 the literature. There are people who collect the  
17 urines and measure the urines, and there are people  
18 who will just simply draw a time-activity curve  
19 around the bladder, and this can give some  
20 variation.

21 DR. XIONG: Right. Maybe I'll follow up  
22 there. How large are those studies in terms of

1 some percent?

2 DR. ZANOTTI-FREGONARA: Right. They can be  
3 quite small. I would say that the vast majority of  
4 the studies are less than 10 subjects. Sometimes  
5 you have more for tracers like FDG, which are  
6 common, but it's not unusual to find dosimetry  
7 studies with 2 subjects or 3 subjects, and then  
8 also can increase the noise of the numbers.

9 DR. XIONG: Great. Thank you for your  
10 answer.

11 DR. ROYAL: Okay. Dr. Mintun has his hand  
12 raised.

13 DR. MINTUN: Yes. Mark Mintun, Lilly and  
14 Avid Radiopharmaceuticals. My question is to  
15 Dr. Zanotti. I found the presentation really  
16 compelling and think that it could lead to  
17 acceleration of innovation and finding new tracers.  
18 But I noticed that -- well, could you comment on  
19 whether the types of data and your arguments for  
20 how to simplify doing first-in-man studies with  
21 F-18 and carbon-11, could that be used and extended  
22 to the questions that we've been given with gallium

1 and copper?

2 I see gallium is also an incredibly  
3 important agent for being able to test  
4 radiopharmaceuticals. Would there be an obstacle?  
5 Is it a matter of not enough data to conclude this,  
6 or is there something intrinsic about not being  
7 able to extend the arguments you're making for  
8 carbon-11 and F-18 to gallium and copper agents on  
9 our question? Thank you very much.

10 DR. ZANOTTI-FREGONARA: In principle, the  
11 protocol can be applied to other isotopes. I did  
12 not consider isotopes other than carbon-11 or F-18  
13 because we work only with carbon-11 and F-18. So  
14 that is the only reason why I limited my  
15 presentation to these two isotopes.

16 DR. MINTUN: Thank you.

17 DR. ZANOTTI-FREGONARA: Yes.

18 DR. ROYAL: Dr. Dewaraja has her hand  
19 raised.

20 DR. DEWARAJA: Yuni Dewaraja, University of  
21 Michigan. My question is kind of related to the  
22 first question about the large variability in the

1 data for effective dose coefficients. My question  
2 is, I see in the plot that was shown in one of the  
3 slides, there was some extreme outliers. The F-18  
4 values seem to be going from 5 to 50 microsieverts  
5 per megabecquerel.

6 DR. ZANOTTI-FREGONARA: Yes.

7 DR. DEWARAJA: Was there any attempt to  
8 identify, at least for those extreme outliers? I  
9 would think it would be relatively easy to try to  
10 identify whether there was anything specific in  
11 their protocol that would have led to values like  
12 50 microsieverts per megabecquerel. I'm assuming  
13 many of these studies used OLINDA, and there are  
14 things like, as you mentioned, the bladder model,  
15 but also things like mass scaling for the different  
16 organ masses; so whether there was anything  
17 specific that you could identify.

18 DR. ZANOTTI-FREGONARA: Yes, correct. I  
19 read carefully the study, but I was not able to  
20 find any obvious reason for this dose. I also  
21 tried to obtain the original data, but they were  
22 not available anymore because this study is a bit



1 older, and I contacted the authors. Yes, so can't  
2 explain, but I believe that if we were trying to  
3 replicate the study, we may probably find a  
4 different dose.

5 DR. DEWARAJA: Thank you. So you think it's  
6 reasonable to include those outliers in the  
7 discussions?

8 DR. ZANOTTI-FREGONARA: I think that outlier  
9 I don't think is reasonable to include.

10 DR. DEWARAJA: Thank you.

11 DR. ROYAL: Okay. If there are no further  
12 questions, we will now proceed with the FDA  
13 presentations, starting with Dr. Donika Plyku,  
14 followed by Dr. Cohen and Dr. Cotter.

15 **FDA Presentation - Donika Plyku**

16 DR. PLYKU: Good afternoon. My name is  
17 Donika Plyku, and I'm a medical physicist at the  
18 Division of Imaging and Radiation Medicine at CDER.  
19 I will start my talk by highlighting interest in  
20 developing PET imaging drugs and discussing a few  
21 radiation dosimetry and regulatory aspects.

22 The main part of my talk is on a dedicated

1 literature review on radiation dosimetry data for  
2 PET drugs, and this includes both investigational  
3 and approved ones that were analyzed in order to  
4 assess the value of nonclinical dosimetry studies  
5 for PET drug development and to evaluate what could  
6 be considered as safe administered activity levels  
7 for first-in-human studies with certain new PET  
8 drugs in the absence of animal-derived  
9 human-absorbed dose estimates. I will end by  
10 discussing a few radiation dosimetry aspects that  
11 may help to put the approach under consideration  
12 into perspective.

13           Positron emitting radionuclides share some  
14 unique characteristics such as a relatively short  
15 physical half-life for measurement of fast  
16 biological processes and also relying on the  
17 511 keV annihilation photons to produce detectable  
18 signals for imaging. Advancements in cancer  
19 imaging and diagnosis and therapy, as well as  
20 management of other diseases, highlight the utility  
21 of PET drugs. In addition, the innovations in  
22 PET/CT imaging and technology provide continuing

1 and increased research and applications of PET  
2 drugs.

3 The table shows various PET radionuclides.  
4 These are listed here in increasing physical  
5 half-life order, and I have highlighted six  
6 radionuclides that are in focus for today and for  
7 which FDA-approved PET drugs exist. The graph  
8 shows FDA-approved PET drugs over the course of  
9 50 years, and please note there's an increased  
10 number of approvals in the recent 10 years.

11 Currently, there are 19 FDA approved and also about  
12 85 total abbreviated NDAs for PET drugs in the U.S.

13 Earlier today, Dr. Fotenos talked about the  
14 Code of Federal Regulations pertinent to  
15 radioactive drugs, and this is shown here. This  
16 regulation has direct implication on the design of  
17 phase 1 studies, which must obtain data for  
18 dosimetry calculations, and therefore IND  
19 submissions for new products include dose estimates  
20 for human organs that are often extrapolated from  
21 animal biodistribution data.

22 The extrapolation methods make assumptions

1 about the differences in metabolism, anatomy, and  
2 biodistribution between animals and humans, and  
3 these assumptions contribute to uncertainties in  
4 predicting radiation dose to human organs. Animal  
5 dosimetry studies are important, but there is a  
6 tendency to underestimate human organ-absorbed dose  
7 when extrapolated from animal data, and the  
8 differences and associated uncertainties between  
9 extrapolated absorbed dose values and those  
10 calculated from direct measurements in humans are  
11 important to consider.

12           The measured percent injected dose per gram  
13 in animal tissue is extrapolated to percent  
14 injected dose in human organ often using the  
15 relative organ mass extrapolation method, and this  
16 assumes that the metabolism is similar between  
17 animals and humans and varies only as a function of  
18 organ mass. Nonclinical studies may provide an  
19 estimate for human organ-absorbed dose and could  
20 also be useful to identify unexpected high uptake  
21 in a particular organ before administering the drug  
22 to the patient or to a human subject. The

1 time-activity curve can be fit and integrated to  
2 obtain what we call a reference organ residence  
3 time.

4           Once we obtain the residence time in source  
5 organs, one can obtain or calculate the absorbed  
6 dose to target organs, and following MIRD  
7 methodology, this requires employing the reference  
8 human phantom. Earlier, Dr. Hallett talked about  
9 how this calculation is done. The pictures show a  
10 reference human phantom's evolution throughout time  
11 that are used for this calculation. This  
12 methodology is appropriate in diagnostic nuclear  
13 medicine, where we do calculations based on  
14 reference representative of a general population  
15 and not for a single patient.

16           This slide highlights current FDA  
17 recommendations on nonclinical dosimetry studies  
18 for new PET drug development. Investigators are  
19 encouraged to contact FDA early, and  
20 recommendations are generally provided upon review  
21 of pre-IND submissions and IND opening protocols  
22 when plans to conduct or results of animal

1 biodistribution and dosimetry studies are reviewed.

2           The submissions may also include human organ  
3 dose estimates, and I want to highlight here that  
4 currently, the review of dosimetry provided in the  
5 pre-IND and IND submissions of new PET drugs is  
6 performed on a case-by-case basis, as Dr. Fotenos  
7 also explained, and review issues generally include  
8 limitations on animal-to-human extrapolation and  
9 recommendations on planning and design of animal  
10 biodistribution studies and on the design of  
11 clinical dosimetry studies.

12           Future accommodations will involve an  
13 approach to compare planned administered activity  
14 for first-in-human studies with new PET drugs or  
15 the maximum protocol-specific administered activity  
16 covering the pre-phase 1 dosimetry cohort; so  
17 basically comparing the planned administered  
18 activity in the submission of the IND opening  
19 protocol with mean administered activity values  
20 that have been derived from approved drugs for each  
21 of the six PET radionuclides shown on the slide.  
22 This is in the absence of animal-derived radiation

1 dose estimates. In other words, the approach under  
2 consideration involves administered activity values  
3 for first-in-human studies that may allow foregoing  
4 animal biodistribution studies, and I will describe  
5 this approach in more detail after I talk about how  
6 these values were determined.

7 One of the early and few studies that looked  
8 at the value of nonclinical dosimetry studies is  
9 the study published by the Oak Ridge symposium by  
10 Sparks and Aydogan. In this study, the authors  
11 looked at the various extrapolation techniques to  
12 predict residence time in humans using both  
13 nonclinical and clinical data for several  
14 extrapolation methods such as relative organ-mass  
15 and physiological time, or a combination of the  
16 two. The residence times, or what we actually call  
17 time-integrated activity coefficients for source  
18 organs, were calculated using animal and human  
19 data, and ratios of animal-derived versus human  
20 measures were plotted for each extrapolation  
21 method.

22 In these histograms, you see distribution of

1       these ratios for each extrapolation method, and one  
2       can look at the geometric mean of these  
3       distributions being less than 1, basically  
4       indicating the tendency to underestimate the  
5       residence time in human organs when calculating  
6       from animal biodistribution studies or dosimetry  
7       studies. Also, in this study, the physiological  
8       time extrapolation had an improvement on this  
9       ratio; however, the data reviewed in this study  
10      were limited, so there is a need to repeat such  
11      studies with all available clinical experience with  
12      PET drugs that we have now.

13                Studies conducted by colleagues at  
14      NIH -- Dr. Zanotti-Fregonara talked about  
15      this -- that were shown earlier in the previous  
16      talk, they performed the review of dosimetry data  
17      of carbon-11 and F-18 drugs and the relative  
18      radiation profile between them. Dr. Zanotti talked  
19      in detail about the observed variability in those  
20      estimates and explained what the variabilities  
21      could be attributed to.

22                Other studies that we found in literature



1 wrote about strengths and weaknesses of various  
2 extrapolation methods for these calculations and  
3 factors affecting animal-to-human extrapolation.  
4 Specifically for murine species, several factors  
5 have been reported to cause discrepancies between  
6 mouse- and human-derived, organ-absorbed doses, and  
7 there is a need for standardization in dosimetry  
8 methodology and reporting in order to ensure  
9 reproducibility of results. A more recent study  
10 looked at the gallium-68 radiolabeled  
11 macromolecules and compared five extrapolation  
12 methods, and suggested that the best approximation  
13 of the actual human dosimetry was provided by the  
14 method which applied a metabolic scaling to the  
15 murine data.

16           These considerations prompted FDA to  
17 re-evaluate the utility of animal dosimetry studies  
18 and come up with recommendations to streamline the  
19 assessment of the radiation safety of PET drugs.  
20 In order to determine administered activity levels  
21 for first-in-human studies that may allow foregoing  
22 animal dosimetry studies, we followed this

1 approach.

2 First, we decided to leverage findings for  
3 the safety of approved PET drugs when administered  
4 at the AA levels specified on the drug label. In  
5 the table, you can see all FDA-approved PET drugs,  
6 along with indications for adult patients and  
7 recommended administered activity on the  
8 prescribing information. Secondly, a systematic  
9 review of human dosimetry estimates of PET drugs  
10 derived from both nonclinical and clinical  
11 dosimetry studies was also conducted, and collected  
12 dosimetry data were analyzed.

13 In this literature review, articles were  
14 selected with reported human organ radiation dose  
15 estimates from both animal and human studies, and  
16 these were calculated according to MIRD or related  
17 methodology. Specifically, we looked at the  
18 organ-absorbed dose values and whole-body effective  
19 dose coefficients for the radionuclides or drugs  
20 radiolabeled with these PET radionuclides. In  
21 addition, we looked at the proportion of published  
22 studies with administered activity above the mean

1 administered activity from drug labels or  
2 prescribing information of approved drugs, and we  
3 did this in order to evaluate the range of  
4 administered activity values in the available  
5 clinical data.

6 Dosimetry data from a total of 322 PET drugs  
7 were analyzed, and this includes both  
8 investigational and approved ones. The left and  
9 right figures show the whole-body effective dose  
10 coefficients and organ-absorbed dose coefficients,  
11 and actually the maximum organ-absorbed dose  
12 coefficients for both animal-derived and  
13 human-measured data in these studies, and you can  
14 see the gray and black data points, respectively.

15 Overall, we observed that animal studies  
16 provided close estimates to values derived from  
17 human studies, and also the variability in dose  
18 estimates derived from clinical studies was lower  
19 for the majority of the studies shown. The organs  
20 exhibiting maximum organ absorbed dose coefficients  
21 were generally identified as the organs of  
22 excretion, such as the kidneys, urinary bladder,

1 and not the more radiosensitive organs such as the  
2 blood-forming lens of the eye or the reproductive  
3 organs.

4 We calculated the whole-body effective dose  
5 and maximum organ-absorbed dose values by using the  
6 dose coefficients and the average study AA, so  
7 administered activity over all subjects in the  
8 study, and this is what is shown on these two  
9 figures. You can see that the whole-body effective  
10 dose values were less than 20 millisieverts for  
11 F-18, gallium-68, and copper-64, and less than  
12 10 millisieverts for the short-lived radionuclides  
13 such as carbon-11 and the rest. This is well below  
14 the generally accepted whole-body dose limit of  
15 30 millisieverts.

16 Figure 4 shows the maximum organ-absorbed  
17 dose values in milligray, and one can look at the  
18 proportion of the studies with maximum  
19 organ-absorbed dose above 50 milligray or  
20 millisievert, as this is generally accepted as the  
21 organ-absorbed dose threshold for the less  
22 radiosensitive organs, and this proportion ranges

1 from 1 to 26 percent for the majority of the cases  
2 and about 50 percent for copper-64. Overall, drugs  
3 labeled with this PET radionuclide have a  
4 relatively safe radiation profile.

5 The lower radiation profile of the six  
6 radionuclides that are in focus today is clear if  
7 we compare effective dose estimates to those  
8 reported in zirconium-89 or I-124 studies, and  
9 radionuclides are listed in this figure in  
10 increasing physical half-life order, starting with  
11 rubidium-82, and up to copper-64 are separated  
12 here, zirconium-89 and I-124. And you can see that  
13 the effective dose estimates are about 10 to  
14 15 times higher for the longer-lived radionuclides,  
15 and there is less variability in those estimates  
16 for the shorter lived ones.

17 I would like to note that FDA does not have  
18 defined thresholds that limit the organ-absorbed  
19 dose or whole-body effective dose for diagnostic  
20 radiopharmaceuticals studied under an IND  
21 application; however, the CFR Code Title 21  
22 Part 361 outlines upper radiation dose limits to

1 individual organs and the whole body for the  
2 radioactive drugs studied under an institutional  
3 RDRC protocol for adult subjects, and these  
4 organ-absorbed dose limits are shown in this slide  
5 for both single-dose administration and annual  
6 total dose commitments.

7 In this table, I wanted to compare the mean  
8 administered activity values, or actually the  
9 statistics of administered activity values, in the  
10 available clinical studies published in literature  
11 with the mean of the recommended administered  
12 activity from the approved drug labels. You can  
13 see that this is shown here in the red column,  
14 combining the clinical dosimetry experience for  
15 both investigational and approved drugs.

16 The approach under consideration for today's  
17 meeting is to use the calculated mean AA, or  
18 administered activity, of approved drugs for each  
19 radionuclide -- so basically the mean of the  
20 recommended AA -- for first-in-human studies with  
21 new investigational PET drugs to generally allow  
22 the investigator to forego animal radiation

1 dosimetry studies. I wanted to compare the  
2 proportion of studies with reported administered  
3 activity that exceed the values in the red column,  
4 and this ranges from 30 to 75 percent, with the  
5 highest being the copper-64 studies. Here, I  
6 excluded nitrogen-13 because there is only one  
7 approved drug.

8           Also, what is relevant is to compare,  
9 actually, the dose estimates for the approved drugs  
10 and the clinical studies, especially the studies  
11 with AA that are an administered activity higher  
12 than the mean administered activity from the drug  
13 labels. You can see that there is a slight  
14 difference in effective dose estimates between the  
15 two. In the left, this is the mean effective dose  
16 for approved drugs, and on the right you have the  
17 mean effective dose for other available clinical  
18 studies. There is a slight difference between  
19 published and approved drugs.

20           A similar comparison can be done in terms of  
21 organ-absorbed dose estimate and actually in terms  
22 of the maximum organ-absorbed dose values, as this

1 organ is generally the critical organ. The maximum  
2 organ-absorbed dose of the approved drugs are lower  
3 than the absorbed dose estimates of published  
4 studies, with administered activity higher than the  
5 mean AA from the approved drug labels. The largest  
6 difference here, more than a factor of 2, is for  
7 copper-64.

8 So generally, comparing radiation dose  
9 estimates for studies with administered activity  
10 higher than this mean AA value shows that reducing  
11 administered activity at the mean drug label AA  
12 level generally serves to reduce radiation dose and  
13 may allow for reasonable calculation to ensure the  
14 safety of first-in-human subjects, pending  
15 availability of required clinical dosimetry data.

16 To put this approach under consideration  
17 into perspective, it helps to look at conservative  
18 approaches to determine upper administered activity  
19 limits for human dosimetry studies. So going back  
20 to the biological endpoint of performing radiation  
21 dosimetry in diagnostic nuclear medicine, we are  
22 talking about risk of cancer induction later in



1 life, which is a stochastic effect. The input data  
2 come from measured time-activity in reference  
3 source organs. The calculation involves MIRD  
4 methodology and reference human phantoms and models  
5 that relate dose to risk. In addition, one should  
6 consider the added dose from CT scanning when  
7 evaluating the radiation safety or the total  
8 effective dose.

9 CT dose value is shown here for a typical  
10 diagnostic F-18 FDG PET/CT imaging. So the optimal  
11 administered activity in diagnostic imaging is the  
12 lowest activity to achieve the imaging objective,  
13 which is a reliable diagnosis. We try to balance  
14 image quality with risk due to radiation exposure.

15 The context of this biological effect is the  
16 radiation induced risk, and in order to simulate  
17 the worst case radiation dose scenario, we  
18 calculate a risk associated with the high absorbed  
19 dose delivered to a single organ, and clearance of  
20 the activity by physical decay only upon  
21 administration of a PET drug. So the target organ  
22 cancer risk -- in this case, the kidneys -- is

1       calculated or estimated for an 18-year-old female  
2       subject using absorbed dose calculated for this  
3       hypothetical scenario for each radionuclide, and  
4       the calculation used the NCI RadRAT tool for  
5       radiation risk.

6               The relative risk is expressed in risk  
7       index. This is the ratio of radiation induced risk  
8       versus the natural incidence of cancer. The plot  
9       in the bottom shows risk index calculated for  
10      4 radionuclides listed in increasing physical  
11      half-life order. I'm sorry, it's not, because  
12      carbon-11 is not after F-18, but 4 radionuclides  
13      shown here. The risk index for F-18, gallium-68,  
14      and copper-64 were 1.3, 2, and 4 times higher than  
15      the risk index for F-18 FDG typical scan,  
16      respectively. For carbon-11, this risk index is  
17      about 3 times smaller than the risk from F-18 FDG,  
18      risk associated with typical administration for an  
19      F-18 FDG scan.

20              Other studies in literature performed  
21      simulations for carbon-11 labeled compounds to  
22      estimate the administered activity level that would

1 not exceed 50 millisieverts to an individual organ.  
2 The purpose is to rule out the possibility of  
3 radioactivity accumulation in a single organ when  
4 the biodistribution is unknown.

5 In this study, Gatley calculated an upper  
6 limit of 130 megabecquerels, or 3.5 millicuries,  
7 and suggested to be used in performing a  
8 preliminary study in humans without risking this  
9 organ absorbed dose limit. This approach allows  
10 also the assessment of a worst-case scenario, so  
11 activity accumulation in a single radiosensitive  
12 organ in order to conservatively plan initial human  
13 PET studies.

14 Other ways to conservatively determine the  
15 maximum AA for human studies with new PET drugs  
16 would be to use the maximum reported absorbed dose  
17 in the clinical studies and RDRC absorbed dose  
18 thresholds, and such calculations are also  
19 available in the studies published by Zanotti-  
20 Fregonara. It must be noted that in FDA's  
21 experience with clinical dosimetry data of PET  
22 drugs, such case scenarios have not been observed.

1           This flowchart helps to understand the  
2           current recommendations for nonclinical and  
3           clinical dosimetry studies and what would change  
4           the future state if the approach under  
5           consideration is implemented in the pre-IND and IND  
6           submission review for new PET drugs. In the  
7           current state, the available dosimetry in the  
8           submitted protocols are reviewed on a case-by-case  
9           basis to decide that the study is safe to proceed  
10          from a radiation safety perspective or to recommend  
11          collection of phase 1 clinical dosimetry data.

12           In the future, or going forward, if the  
13          approach under consideration of the mean  
14          administered activity values from approved drugs  
15          are utilized, then in the absence of drug-specific  
16          animal dosimetry data, if the maximum  
17          protocol-specified administered activity covering  
18          the pre-phase 1 dosimetry cohort is less than or  
19          equal to the corresponding mean AA values for PET  
20          drugs approved as of today, and will involve a  
21          study population with a similar risk profile, then  
22          the clinical data can be considered to allow a

1 reasonable calculation of absorbed dose and may  
2 generally be sufficient to find the corresponding  
3 portions of the protocols safe to proceed from a  
4 radiation safety perspective.

5           Conversely, sufficiency of the drug-specific  
6 animal dosimetry should continue to be reviewed on  
7 a case-by-case basis if the maximum  
8 protocol-specified administered activity covering  
9 the pre-phase 1 dosimetry cohort exceeds the  
10 corresponding AA for PET drugs approved as of  
11 today, or if the study population is notably  
12 dissimilar in terms of radiation risk.

13           To illustrate this, I included some simple  
14 examples for this implementation. If the planned  
15 AA for a first-in-human study with a new PET  
16 drug -- for example, a new F-18 drug -- if the  
17 planned AA is about 185 megabecquerels or  
18 5 millicuries, which is less than the mean  
19 administered activity from all approved F-18 PET  
20 drugs, 8 millicuries, then the study may be found  
21 generally safe to proceed from a radiation safety  
22 perspective without conducting animal

1 biodistribution studies. If no; if the planned AA  
2 is higher than the mean AA value from approved PET  
3 drugs, then the case-by-case IND review will  
4 continue, and there may be a potential need to  
5 collect animal dosimetry data.

6 Further recommendations for collection of  
7 clinical dosimetry data will be to start with an  
8 administered activity, which is less than this mean  
9 recommended AA; so basically lower administered  
10 activities can start by administration in a single  
11 human subject, and then activity escalation rules  
12 can be considered depending on the imaging and  
13 clinical dosimetry results.

14 If the radioligand is worth pursuing, then  
15 collection of phase 1 clinical dosimetry data can  
16 proceed in a similar way depending on the starting  
17 administered activity in the protocol. Activity  
18 de-escalation rules can also be considered  
19 depending on the imaging and initial clinical  
20 dosimetry results.

21 So to summarize, our literature review  
22 provided a previously unavailable collection of

1 radiation dosimetry data for PET drugs derived from  
2 both nonclinical and clinical studies, which  
3 supplements previous reviews for carbon-11 and F-18  
4 drugs and provides all published data for other PET  
5 radionuclides. The approach under consideration is  
6 to use the mean administered activity from  
7 prescribing information or drug labels containing  
8 the six radionuclides, and in addition, safety  
9 review of first-in-human studies. This was  
10 developed after analyzing all available clinical  
11 dosimetry data from both FDA approved and  
12 investigational PET drugs.

13 The issues for discussion are to discuss  
14 sufficiency of reviewed dosimetry data and discuss  
15 the reasonableness of this approach under  
16 consideration. Thank you for your attention, and I  
17 would like to take this opportunity to thank my FDA  
18 colleagues for the hard work and the invaluable  
19 discussions.

20 DR. ROYAL: Thank you, Dr. Plyku.

21 We will now proceed with the presentation  
22 from Dr. Cohen.

1                   **FDA Presentation - Jonathan Cohen**

2                   DR. COHEN: Good afternoon. My name is  
3 Jonathan Cohen, and as part of the FDA's  
4 presentation to this AC, I would like to speak  
5 about nonclinical perspective on animal dosimetry  
6 studies that support diagnostic  
7 radiopharmaceuticals or PET drugs for regulatory  
8 submissions. The focus of this presentation is to  
9 provide a pharmacology and toxicology assessment on  
10 the utility of nonclinical biodistribution and  
11 dosimetry studies in animals that support  
12 diagnostic radiopharmaceuticals or PET drug IND  
13 submissions. So when I refer to PET drugs, my  
14 intent is to both include small molecules, as well  
15 as biologics. The following points that I'm going  
16 to make are not intended to apply to therapeutic  
17 radiopharmaceuticals.

18                   This assessment is based upon current  
19 federal regulations, as well as FDA guidance  
20 documents that apply to PET drugs and the  
21 principles to reduce, refine, and replace animal  
22 use in research. Specifically, there are three



1 questions. What nonclinical and clinical data can  
2 be relied upon to support development of PET drugs?  
3 Can sponsors optimize their nonclinical studies to  
4 ensure the efficiency of clinical development  
5 without jeopardizing safety for first-in-human  
6 studies? And last, can PET drug safety be  
7 predicted by the radionuclide properties?

8 The current regulations allow for a  
9 risk-benefit assessment on the nonclinical study  
10 requirements. As an example, PET drugs encompass a  
11 very diverse set of target patient populations, as  
12 well as indications, and our recommendations are  
13 based upon the totality of this information. There  
14 are several guidance documents that support the  
15 development of PET drugs. This includes ICH M3R2,  
16 exploratory IND guidance, as well as the more  
17 recent microdose guidance. They describe general  
18 studies that are recommended to support the safety  
19 of first-in-human INDs for these PET drugs.

20 While I mentioned that the guidance  
21 documents are recommendations and they're based  
22 upon the agency's current thinking, there are

1 federal regulatory requirements that specify that  
2 for radiopharmaceuticals, there must be sufficient  
3 data from animal or human studies to allow a  
4 reasonable calculation of the radiation-absorbed  
5 dose. For NDAs and BLAs, it must be an evaluation  
6 of the safety for the drugs and biologics, and  
7 that's included in the labeling and prescribing  
8 information.

9 I want to briefly comment on two nonclinical  
10 guidances, ICH M3R2 and the microdose guidance, and  
11 how they apply to nonclinical biodistribution and  
12 dosimetry studies. The vast majority of these PET  
13 drugs are administered at microdose levels, so not  
14 more than 100 micrograms for small molecules or  
15 30 nanomoles for protein products or biologics.  
16 There are a number of recommended studies to  
17 support the pharmacology, which generally include  
18 in vivo and in vitro characterization, binding  
19 studies, off-target profiling, as well as studies  
20 to determine the PK properties, and dosimetry  
21 studies. The main thrust of these studies is that  
22 they demonstrate evidence that the radiolabeling

1 doesn't significantly alter the pharmacology of the  
2 ligand.

3           As I mentioned, I want to draw a distinction  
4 between current regulatory standards for products  
5 that are indicated as diagnostics and  
6 radiotherapeutics. Both products, the primary  
7 pharmacology studies that mention in vitro and in  
8 vivo characterization, recommended to demonstrate  
9 evidence that the radiolabeling doesn't alter their  
10 pharmacodynamic properties; however, for  
11 diagnostics, biodistribution and dosimetry studies  
12 are recommended to inform on their target organ  
13 uptake. Safety pharmacology studies are generally  
14 not needed. For therapeutics, the biodistribution  
15 studies are needed to inform human dose selection  
16 of the radiotherapeutic, and the safety  
17 pharmacology endpoints can generally be included  
18 either in the biodistribution, dosimetry, or  
19 toxicity studies.

20           Pharmacokinetic information in the test  
21 species is important in providing information about  
22 the systemic exposure and the half-life of the

1 drug, as well as other information that's relevant  
2 to potential drug-drug interactions. Toxicity  
3 studies, the requirements of those are based upon  
4 the cold mass dose, as well as the frequency of  
5 dosing.

6 I want to make a few additional points  
7 regarding nonclinical biodistribution studies,  
8 particularly the significance of them. These  
9 studies demonstrate target organ uptake, for  
10 example, uptake into the central nervous system.  
11 They can include animal disease models to support  
12 the mechanism of action of the PET drug. And more  
13 importantly, they also provide information of the  
14 PET drug's stability, it's metabolism, as well as  
15 it's route of elimination. They can provide  
16 information that supports the clinical PET imaging  
17 such as the imaging time window post-dose, as well  
18 as signal to background noise. The extent of these  
19 studies is also dependent upon the marketing  
20 intent, as well as the patient numbers.

21 The primary pharmacology and  
22 proof-of-concept studies support safety and

1 clinical efficacy of these first-in-human clinical  
2 studies. There's value for the pharmacodynamic and  
3 biodistribution studies that characterize new  
4 radioligands. We also acknowledge that there are  
5 differences between animal and human  
6 radiation-absorbed dose, and sponsors will  
7 frequently consider other data sources in the  
8 absence of animal dosimetry studies, and this is on  
9 a case-by-case basis.

10 We can consider a weight of evidence  
11 approach to evaluate PET drugs and the  
12 radiation-absorbed dose. For example, the  
13 radionuclide half-life and biological half-life for  
14 small molecules and peptides are generally less  
15 than 24 hours. This contrasts with monoclonal  
16 antibodies that have half-lives of several days and  
17 may be labeled with either zirconium-89 or  
18 iodine-124. The longer half-life will result in  
19 greater exposure and radiation risk. Another  
20 consideration is the range of administered  
21 activities for short-lived radioisotopes such as  
22 C-11, F-18, as well as the effective dose. There

1 should be justification provided for the organ and  
2 effective dose levels. And last, the proposed  
3 clinical dose should be as low as reasonably  
4 achievable.

5 To summarize, animal biodistribution studies  
6 are of value for the contribution to understand the  
7 PET drug mechanism of action, pharmacokinetics, as  
8 well as absorption, distribution, metabolism, and  
9 excretion. It's an ongoing evaluation on the need  
10 for these animal dosimetry studies to support  
11 first-in-human PET drugs, and the weight of  
12 evidence approach should be applied on a  
13 case-by-case basis and consider the totality of  
14 evidence. And last, we're considering this  
15 streamlined approach for first-in-human studies of  
16 PET drugs.

17 I have here, just for reference, guidance  
18 documents that I've referred to in this short  
19 presentation. Thank you.

20 DR. ROYAL: Thank you, Dr. Cohen.

21 We'll now proceed with a presentation from  
22 Dr. Cotter.

1                   **FDA Presentation - Samantha Cotter**

2                   DR. COTTER: Good afternoon. My name is  
3                   Samantha Cotter, and I'm a safety evaluator in the  
4                   Division of Pharmacovigilance within the Office of  
5                   Surveillance and Epidemiology. Today, I'm going to  
6                   provide a brief overview of postmarketing drug  
7                   safety and surveillance activities conducted by our  
8                   division for all marketed products, including, but  
9                   not limited to, drugs used for PET imaging  
10                  procedures.

11                  To better understand the safety profile of  
12                  marketed products as used in the real world, FDA  
13                  relies upon clinicians and the public to report  
14                  safety concerns. During this presentation, we will  
15                  review how to report adverse events to FDA; discuss  
16                  how the agency uses adverse event reporting  
17                  information to monitor the safety of marketed  
18                  products; discuss the FDA Adverse Event Reporting  
19                  System, also known as FAERS; discuss FAERS  
20                  reporting trends for PET drugs; and provide  
21                  examples of PET drug safety labeling, changes, and  
22                  communications.

1           Safety is evaluated throughout the lifecycle  
2 of approved products. Prior to drug approval, as  
3 noted on the left-hand side of the figure, safety  
4 is evaluated during the phase 1 to phase 3 clinical  
5 trials in conjunction with dosage and efficacy  
6 evaluation. Following drug approval, on the  
7 right-hand side of the figure, safety surveillance  
8 continues in the postmarketing setting,  
9 incorporating a variety of data sources. A  
10 critical part of the overall safety evaluation,  
11 whether prior to or following product approval, is  
12 the implementation of strategies and actions to  
13 minimize the risk identified regarding safety  
14 concerns.

15           Following completion of the phase 1 through  
16 phase 3 trials, if FDA concludes that the  
17 risk-benefit balance is positive, as noted in the  
18 yellow diamond on the figure, a determination may  
19 be made to approve the drug product. Although  
20 premarketing clinical trials are the gold standard  
21 to determine safety and efficacy at the time of  
22 drug approval, all trials have limitations. One



1 important limitation of premarketing clinical  
2 trials is the size of the population that is  
3 studied. These trials are generally smaller than  
4 the size of the population that would be exposed to  
5 the product under real-world conditions.

6           These phase 1 through 3 trials are adequate  
7 to characterize events that happen frequently;  
8 however, rare events may not be observed.

9 Accordingly, FDA continues pharmacovigilance  
10 monitoring of drug products through case level  
11 review, and in some cases larger post-approval  
12 epidemiologic studies.

13           FDA uses several data sources to identify  
14 and evaluate safety concerns, one of which is the  
15 FDA Adverse Event Reporting System. Other key data  
16 sources include but are not limited to the  
17 following: periodic adverse drug experience  
18 reports from drug manufacturers; case reports and  
19 studies in the published medical literature; and  
20 outside inquiries such as citizens' petitions or  
21 interaction with foreign regulatory agencies. When  
22 we identify new safety concerns, FDA works with the

1 applicants to update prescribing information or to  
2 communicate directly to healthcare professionals or  
3 consumers to share new safety information.

4 Two pathways exist for patients, consumers,  
5 and healthcare professionals to report a suspected  
6 adverse event to FDA. First, on the left-hand side  
7 of the figure, these postmarketing reports can be  
8 submitted directly through FDA's MedWatch program.  
9 Alternatively, on the right-hand side of the  
10 figure, reports can be submitted to the product  
11 manufacturer who is then required to submit all  
12 such reports to FDA. It is through this route that  
13 the vast majority of reports are received and  
14 entered into the FAERS database.

15 To directly submit a report to MedWatch, the  
16 FDA's Medical Product Safety Reporting program,  
17 health professionals, patients and consumers can  
18 utilize the FDA MedWatch website and directly  
19 submit reports via the internet, or the form can be  
20 downloaded, completed, and sent back to the agency  
21 by mail, email, or fax.

22 So how does FDA use these FAERS reports?

1 Pharmacovigilance staff review reports in addition  
2 to other data sources like the medical literature  
3 to identify new safety concerns with a product.  
4 Screening of cumulative adverse event reports from  
5 multiple sources and of both serious and  
6 non-serious outcomes is an approach to better  
7 understand the postmarketing safety profile of  
8 products. We consult the prescribing information  
9 of the product to determine if an event reported is  
10 already known or contains new safety information.  
11 If a new signal is identified, we work with the  
12 appropriate division -- in this case the Division  
13 of Imaging and Radiation Medicine -- to open a  
14 newly identified safety signal, also referred to as  
15 NISS. If we determine that a new safety concern  
16 should be labeled or communicated to the public,  
17 then we work to make that happen.

18 This chart is adapted from the FAERS public  
19 dashboard, displaying all report types -- direct,  
20 expedited, and periodic -- received by FDA for  
21 drugs and therapeutic biologic products. Here, we  
22 present the adverse event reports in FAERS for all

1 products on the left Y-axis, as noted by the red  
2 trend line, and for approved PET drugs on the right  
3 Y-axis, as noted by the green trend line. Please  
4 note, that the left axis is in millions and the  
5 right axis is in hundreds.

6 Data presented in this figure cover the  
7 years of 2002, when the first PET drug adverse  
8 event report was received by FDA, through the end  
9 of 2022. It is important to note that FDA  
10 initially began receiving adverse event reports in  
11 1968, and although the years 1968 through 2002 are  
12 not presented in this chart, the reports from these  
13 years are represented in the total number for all  
14 products reports in FAERS, tallying approximately  
15 26 million through 2022, as noted in the footnote.

16 In contrast to the number for all products  
17 reports in the FAERS database, there are only  
18 562 reports through the end 2022 for PET drugs. As  
19 these products are not being used to induce a  
20 clinical effect but rather for diagnostic purposes,  
21 it is not surprising that the safety issues might  
22 be infrequently reported for these drugs. The

1 first PET drug to be approved by FDA was in the  
2 1970s. Additional drugs have been approved over  
3 the years, with the most recent being approved  
4 earlier this year in 2023.

5 You can see in the chart a rise in the  
6 number of reports for PET drugs in 2018 on the  
7 green trend line, which, based on a separate  
8 analysis of this data, correlates with the time  
9 following the 2016 approval of gallium dotatate  
10 Ga-68 and fluciclovine F-18. We again see a rise  
11 in the number of reports between 2021 and 2022,  
12 which also correlates with the 2020 and 2021  
13 approvals of five PET drugs. On this slide, we  
14 give an overview of some of the more recent  
15 safety-related labeling changes, also referred to  
16 as SrLCs, that have been communicated to the public  
17 by FDA. Of these, the Division of  
18 Pharmacovigilance contributed to the  
19 hypersensitivity reactions, SrLC, identified with  
20 the radiolabeled dotatate PET drugs in 2021.

21 FDA has many pathways to communicate safety  
22 information to the public, and this slide only

1 provides a few that may be utilized. First, on the  
2 left-hand side, we have an image of the FAERS  
3 public dashboard. The dashboard is a highly  
4 interactive web table that allows the public to  
5 query FAERS data. While the FAERS public dashboard  
6 offers opportunity to search adverse event reports  
7 received by FDA, there remain limitations to the  
8 data. These include duplicate and incomplete  
9 reports existing in the system; the fact that the  
10 existence of a report does not establish causation;  
11 information in reports has not been verified; and  
12 an incident rate cannot be established with the  
13 reports.

14 In the center of the slide, we see a  
15 snapshot of the web posting potential signals of  
16 serious risks and new safety information identified  
17 by FAERS. Other forms of communication include  
18 updates to prescribing information or product  
19 labeling, as shown in the upper right-hand side,  
20 and also drug safety communication to the public  
21 and healthcare professionals, as pictured in the  
22 lower right-hand corner of the screen.

1           In summary, FDA continues to monitor all  
2 products, including, but not limited to, PET drugs  
3 throughout the life cycle, utilizing various  
4 pharmacovigilance and epidemiologic data sources in  
5 an attempt to ensure that the benefit-risk balance  
6 of a product continues to remain favorable during  
7 the postmarketing phase of its life cycle.

8 Voluntary reporting of adverse event data  
9 associated with drug products by healthcare  
10 professionals and patients is an important activity  
11 to support the safe use of FDA-approved drug  
12 products. We encourage continued reporting of  
13 drug-related adverse events, including adverse  
14 events from PET drugs through the MedWatch program.

15 Thank you.

16                   **Clarifying Questions to Presenters**

17           DR. ROYAL: Thank you very much, Dr. Cotter.

18           We will now take clarifying questions for  
19 the FDA presenters. Please use the raise-hand icon  
20 to indicate you have a question, and remember to  
21 lower your hand by clicking the raise-hand icon  
22 again after you have asked your question. When

1       acknowledged, please remember to state your name  
2       for the record before you speak and direct your  
3       question to a specific presenter, if you can. If  
4       you wish for a specific slide to be displayed,  
5       please let us know the slide number, if possible.

6               Finally, it would be helpful to acknowledge  
7       the end of your question with a thank you and the  
8       end of your follow-up question with, "That is all  
9       for my questions," so we can move on to the next  
10       presenter.

11               I see that Dr. Bolch has his hand up.

12               Dr. Bolch?

13               DR. BOLCH: Yes. Wes Bolch, University of  
14       Florida. I have a question for the first speaker,  
15       Dr. Plyku. My question is simply, on your tables  
16       for absorbed dose, were those any source organ with  
17       highest activity or was there some factoring in of  
18       radiosensitivity? Could it have been any organ in  
19       the body or was there a subset of radiosensitive  
20       organs that were a part of that table?

21               DR. PLYKU: Yes. Thank you for that  
22       question, Dr. Bolch. The organ-absorbed dose



1 estimates on the table are the estimates that are  
2 reported in the studies. So as calculated, these  
3 are dosimetry calculations, and what I showed were  
4 the maximum organ-absorbed dose in those studies,  
5 in the collective studies. So the radiosensitivity  
6 is included in the effective dose estimates.

7 I mentioned that the organs that are  
8 exhibiting maximum organ dose values in the  
9 majority of the studies that we collected were  
10 usually the organs of excretion for most of the  
11 studies that we analyzed, not the more  
12 radiosensitive organs, and these were as reported  
13 in the published data.

14 DR. BOLCH: Okay. Thank you very much, and  
15 thank you also for introducing the risk index. I  
16 just wanted to alert the individuals of some work  
17 between the University of Florida and Memorial  
18 Sloan Kettering. We have a pending paper that has  
19 been accepted in Medical Physics that is going to  
20 address the concept of risk index, and part of that  
21 article is a comprehensive annex that goes through  
22 all different radionuclide reference phantoms and

1 looks at effective dose; detriment; weighted dose;  
2 risk index; and lifetime attributable risk. It  
3 should be informative in this regard. Thank you  
4 very much.

5 DR. PLYKU: Thank you for letting us know.

6 DR. ROYAL: Okay. Dr. Jacobs had her hand  
7 up.

8 DR. JACOBS: Yes. It seems to me, listening  
9 to the first presentation, that several different  
10 approaches to what could be a cutoff were evaluated  
11 from approved agents using the package insert, from  
12 published data, from using the RDRC  
13 50 millisieverts limit. It was unclear to me how  
14 those compared with each other and what were the  
15 trade-off discussions between using one type or  
16 another?

17 I'm not sure if this question is clear, but  
18 it seems there are several different ways that this  
19 could be looked at, and I didn't get a sense of the  
20 pros and cons of each method.

21 DR. PLYKU: Thank you, Dr. Jacobs, for the  
22 question. You're correct. I described the

1 approach we followed to come up with cutoff mean AA  
2 values, and the approach is to look at the approved  
3 drug label and recommended administered activity  
4 levels. In addition to that, we looked at all the  
5 available clinical studies with all the PET  
6 radionuclides and drugs in order to evaluate the  
7 variability in dose estimates and reported  
8 dosimetry data, and put these mean AA values in  
9 perspective.

10 The additional approaches that were included  
11 in my later slides were not part of that  
12 determination in particular but were considered as  
13 bad or worst-case scenarios so we can have a  
14 perspective of recommendations for the mean AA  
15 values and also calculations of relative radiation  
16 risks in available clinical studies. But I also  
17 wanted to mention that FDA has not had an  
18 experience and has not observed such scenarios in  
19 the IND submission review of PET drugs, so those  
20 additional approaches were to supplement my  
21 discussion, so to say, and to put things in  
22 perspective, but not used to calculate the cutoff.

1 DR. JACOBS: So the cutoff was primarily  
2 based on the approved PET drugs, perhaps with the  
3 consideration that those have had much more general  
4 exposure in diverse patient populations as a kind  
5 of a worst case?

6 DR. PLYKU: Mainly on the findings of the  
7 safety of the approved PET drugs.

8 DR. JACOBS: Thank you.

9 DR. ROYAL: Dr. Larson has his hand raised.

10 DR. LARSON: Yes. Thank you very much.  
11 This is a question for Dr. Cotter. Thank you very  
12 much for that very illuminating presentation about  
13 FAERS. I wanted to ask about the follow-up on  
14 these interesting findings a bit more. I know you  
15 were careful to point out the mechanism of action  
16 and other things were not intrinsically in the  
17 data, or even the incidence, but is there a way  
18 that FDA will follow up on this data? For example,  
19 with FDG, I didn't notice very many remarks on  
20 adverse reactions and, of course, millions of scans  
21 are done every year with FDG, whereas with the  
22 gallium dotatate, there was a rather significant

1 signal.

2 So can you comment on how you follow up on  
3 this and whether there's any sort of denominator to  
4 these findings? Once again, thank you very much  
5 for your comments.

6 DR. COTTER: Thank you very much for your  
7 question. So first of note, with FDG, since it's  
8 been on the market longer, we have a tendency to  
9 see a drop in the number of reports that are  
10 submitted to the agency, and that's probably why we  
11 saw the recent spike in the gallium dotatate and  
12 the fluciclovine product. If we do receive  
13 reports, we definitely have the ability to reach  
14 out to the individual that submitted the report,  
15 but we realize that that number of 562 compared to  
16 the 26 million appears as a lower number. That's  
17 why we're continuously going through the medical  
18 literature, looking at information that's coming in  
19 from the manufacturers.

20 We try to cast a wide net of looking at  
21 different data sources because we do acknowledge  
22 that the numbers are on the smaller side, but I

1 also think part of the reason for us giving the  
2 presentation is to make individuals in the  
3 community and healthcare providers aware that we  
4 really take those reports seriously. But in regard  
5 to following up, we definitely have the ability to  
6 reach out to the individual that submitted the  
7 report.

8 Does that answer your question?

9 DR. LARSON: Yes. Thanks very much.

10 DR. COTTER: Thank you.

11 DR. ROYAL: Okay. Dr. Jacobs had a  
12 question. Oh, maybe not. I don't see any more  
13 hands raised.

14 Anyone else have a question?

15 MALE VOICE: Yes --

16 DR. ROYAL: I see a bunch of hands raised  
17 now.

18 Dr. Nedrow?

19 DR. NEDROW: Hi. I have a question for  
20 Drs. Plyku and Cohen, particularly about the  
21 microdosing and the mean administered activity for  
22 copper-64. As copper-64 is an FDA-approved agent

1 and dotatate is a peptide-based agent and has a  
2 lower molecular weight, will that mean activity  
3 will also be recommended for agents that are not  
4 maybe an antibody but of a higher molecular weight,  
5 ranging from 20 to 80, or up to an antibody per  
6 kilodalton, or is that going to be relying only on  
7 a microdosing of less than 30 nanomoles for  
8 protein-based agents? Thank you.

9 DR. PLYKU: Dr. Nedrow, thank you for the  
10 question. If you can clarify, the question is  
11 about microdosing, in particular copper-64,  
12 radiopharmaceuticals?

13 DR. NEDROW: On your talk, which was very  
14 nice, the mean administered activity of the current  
15 FDA-approved agents would be an adjusted level to  
16 start, but for copper-64, the agent is dotatate,  
17 which has a low molecular weight, and the  
18 pharmacokinetics, the peptides vary drastically  
19 different than something of a higher molecular  
20 weight. And Copper-64's longer half-life would  
21 allow agents that might be a little bit heavier or  
22 have a higher molecular weight, maybe not as high

1 as an antibody. But for the recommended  
2 administered activity, the mean value, would that  
3 be applied to all copper-64-based agents, or would  
4 there be a molecular weight cutoff, and that there  
5 would need to be a more individual evaluation by  
6 the FDA?

7 DR. COHEN: Hi. That's a really good  
8 question because copper-64, with a half-life of  
9 around 12 hours, it's suitable for labeling both  
10 peptides and antibodies. As far as the differences  
11 in the PK, certainly small peptides will be,  
12 presumably, eliminated a lot faster than larger,  
13 whether they be modified antibodies or antibodies  
14 themselves, and that could definitely affect the  
15 the radiation exposure.

16 My understanding is that we have not  
17 actually delved in to look in that detail, but it's  
18 likely that that would actually tip the balance in  
19 terms of what we would say would go with an  
20 approach under a certain limit versus over a  
21 certain limit because the characteristics would be  
22 different. There's only one currently FDA-approved



1 product, and that's copper-64, which is Detectnet.

2 DR. NEDROW: Thank you.

3 DR. PLYKU: I also want to mention that the  
4 approach under consideration is the same for the  
5 six radionuclides, and even though we did a small  
6 group analysis, radiolabeled molecular type that  
7 would be in the literature review, which we aim to  
8 publish later, the approach under consideration  
9 doesn't include those aspects for the  
10 radionuclides. So it's uniform for the six  
11 radionuclides.

12 DR. ROYAL: Okay I have five other panel  
13 members who have their hands raised, so I'm going  
14 to try to move along a little bit more quickly.

15 Dr. Dewaraja?

16 DR. DEWARAJA: Yes. This question is for  
17 Dr. Plyku, the first speaker. Thank you for your  
18 presentation. My question is regarding whether the  
19 bone marrow was considered in any of these studies  
20 when reporting the organ-absorbed doses.

21 DR. PLYKU: Thank you, Dr. Dewaraja, for the  
22 question. In the studies, we identified the organ

1 with maximum absorbed dose, and that was not the  
2 bone marrow. It was generally the organs of  
3 excretion. The absorbed dose estimates for all  
4 organs were considered in the collective dosimetry  
5 data, but this was not the organ with maximum  
6 absorbed dose.

7 DR. DEWARAJA: However, some of the studies  
8 did include the dose to bone marrow. Is that what  
9 you're saying?

10 DR. PLYKU: Yes, because we collected all  
11 the reported dosimetry estimates.

12 DR. DEWARAJA: Okay. Thank you.

13 Actually, I have one other quick question.  
14 You also mentioned that there was much higher  
15 variability in the animal data compared with human  
16 data. Do you think that is because of the  
17 variability -- because you mentioned there were  
18 multiple different methods for extrapolation from  
19 animals to human studies. Would that have been a  
20 reason for the higher variability that you saw with  
21 the animal data compared with the human data?

22 DR. PLYKU: I think it may be one of the

1 reasons, and probably there is more systematic  
2 uncertainties performing animal distribution  
3 studies. I would think that variability in animal  
4 data could be attributed to more uncertainties  
5 associated with performing such studies..

6 DR. DEWARAJA: Thank you.

7 DR. ROYAL: Dr. Xiong has a question.

8 DR. XIONG: Chengjie Xiong, biostatistician  
9 from Washington University. I've got a question  
10 for Dr. Plyku, and then maybe another one for  
11 Dr. Cotter. The first one, I want to  
12 [indiscernible] again to the cutoff you're using.  
13 My understanding is there a total of 19 approved  
14 drugs, and your cutoff is entirely based on the 19  
15 numbers in the prescription information. Is that  
16 correct?

17 DR. PLYKU: Yes.

18 DR. XIONG: If the prescription information  
19 gave a window, gave an interval, what do you use  
20 then?

21 DR. PLYKU: I'm sorry. Can you repeat the  
22 question?

1 DR. XIONG: I don't know if this is actually  
2 real. Sometimes the prescription gives you a  
3 window from one number to the other number, some  
4 interval; and then you use the smaller one or the  
5 bigger one?

6 DR. PLYKU: Yes. Thank you for asking the  
7 question. We used the mean administered activity  
8 in that range. Sometimes prescribing information  
9 is per weight, patient weight, and for that case,  
10 we used an average human adult of 70 kilograms to  
11 calculate that mean administered activity.

12 DR. XIONG: Right. I think you mentioned in  
13 your literature review there are some studies,  
14 clinical studies, that are also approved drugs. So  
15 my question is, are any of those used in your  
16 derivation of the cutoff?

17 DR. PLYKU: Yes, because those were reviewed  
18 when the drug was approved, so the derivation of  
19 the cutoff, those are included.

20 DR. XIONG: Okay.

21 DR. PLYKU: In the data of the label, the  
22 clinical data is included in that determination.

1 DR. XIONG: Alright.

2 DR. PLYKU: That's the recommended dosing.

3 DR. XIONG: Okay. That's great. You also  
4 gave a percentage of the clinical studies in your  
5 literature review that are exceeding the cutoffs,  
6 and the percentage ranged from 30 to some higher  
7 percentages. What is the message you are trying to  
8 convey there? Do those studies have a worst safety  
9 profile in some sense, in addition to what you  
10 showed as them having a higher effective dose,  
11 typically?

12 DR. PLYKU: Yes. Thank you for that. The  
13 reason I showed that was to look at the clinical  
14 experience with investigational drugs, compare that  
15 with the approved drugs and the relative radiation  
16 dose between the two. The effective dose was  
17 slightly different, and in the investigational  
18 drug, higher administered activities could have  
19 been administered, higher activities, higher than  
20 the mean AA of the approved drug.

21 The largest difference is in the  
22 organ-absorbed dose estimate because that could be

1 even higher than the effective dose, whole-body  
2 effective dose. The message is to present  
3 collective clinical experience with all available  
4 PET drugs, and put these mean AA values in  
5 perspective if we were to use them as  
6 recommendations later.

7 DR. XIONG: Great. That's very helpful.  
8 My last question maybe involves Dr. Cotter's  
9 presentation as well. In your presentation,  
10 Dr. Plyku, you gave a hypothetical stochastic risk  
11 index, and in Dr. Cotter's presentation, there is a  
12 really nice table of the adverse events related to  
13 those drugs. So I wonder whether the FAERS  
14 database also follow things like the development of  
15 cancer or some other conditions in the long term,  
16 and whether that type of information will  
17 eventually be available to those approved drugs so  
18 that the risk index may not have to be based on a  
19 hypothetical situation.

20 DR. COTTER: Thank you very much for your  
21 question. In regards to events like cancer with  
22 drugs, we see that FAERS is much better for adverse

1 events that are rare and also have a temporal  
2 relationship with the drug. Events of cancer, if  
3 it occurred 20 years after the patient received the  
4 PET drug, the individual reporting would have to  
5 submit that they think that the PET drug had a link  
6 to the cancer, or at least have the PET drug as  
7 part of the past medical history for the patient.

8 So cancers are difficult to identify in  
9 FAERS; however, we do also within our office have  
10 the Division of Epidemiology, and they are often  
11 looking at epidemiologic data, and I believe that  
12 that might be a better source for that. But there  
13 are multiple attempts to look at postmarketing  
14 data, whether it's the adverse events or  
15 epidemiologic studies.

16 DR. XIONG: Great. Thanks. I have no more  
17 questions.

18 DR. ROYAL: Okay.

19 Dr. Sanghani?

20 DR. SANGHANI: Hi. This is Rupa Sanghani.  
21 Thank you for the presentations. I have questions  
22 specifically about F-18 because it has the widest

1 range of mean values, but yet we also have the most  
2 data. We have the most number of animal and human  
3 trials and the most number of already FDA-approved  
4 agents.

5 With the literature search you've done, is  
6 there anything to point towards either looking at a  
7 specific ligand or a specific target organ that  
8 might help further refine the F-18 target so it's  
9 not quite so broad, and could that be used in the  
10 cutoffs?

11 DR. PLYKU: Thank you for the question.  
12 You're correct. F-18, there are more F-18 clinical  
13 studies and the variability is larger. In the  
14 cutoff, we didn't consider the specific targeting  
15 mechanism and target organ when determining the  
16 cutoff; however, we did look at the published  
17 studies and the type of targeting molecule in this  
18 different radiopharmaceuticals.

19 I didn't show that in my presentation. That  
20 is part of our literature review. We didn't  
21 consider that because our approach, initial  
22 approach, was to base our determination of this



1 cutoff based on the findings of the safety of  
2 approved drugs up to now. But that was part of the  
3 literature review, and it will be part of the  
4 published article.

5 DR. SANGHANI: Thank you. I have no further  
6 questions.

7 DR. ROYAL: Dr. Herscovitch?

8 DR. HERSCOVITCH: Thank you. This is Peter  
9 Herscovitch with a question for the first FDA  
10 speaker. You plan on using the administered  
11 activity as per package inserts as the basis for  
12 your thresholds, although informed by RDRC limits  
13 and the published literature for investigational  
14 drugs. For F-18, there are many approved drugs, as  
15 well as a large investigational literature for  
16 C-11, and gallium-68, fewer approved drugs, but a  
17 very large published literature. In contrast,  
18 though, for copper-64, there is just one approved  
19 drug and relatively few human studies. Perhaps I'm  
20 wrong, but I saw eight in one table.

21 So do you think, given the relative paucity  
22 of data from copper-64 in relation to the other

1 radionuclides, that copper-64 should be included in  
2 your approach of using package insert administered  
3 activity, and should be included or lumped in with,  
4 say, C-11 and F-18, where there is a very large  
5 amount of data?

6 DR. PLYKU: Thank you, Dr. Herscovitch for  
7 that very relevant question. We decided to include  
8 copper-64, given the relatively lower radiation  
9 profile. But you're right; there is only one  
10 approved drug for copper-64 and less clinical  
11 studies. We included this in the shorter-lived,  
12 half-life radionuclides when compared to other  
13 longer-lived ones; therefore, we included it in  
14 this group.

15 DR. HERSCOVITCH: Yes. Thank you. Thank  
16 you for that answer.

17 DR. ROYAL: Dr. Applegate?

18 DR. APPLGATE: Thank you. I also had a  
19 question for Dr. Plyku, and I really appreciate all  
20 of her responses and depth of knowledge. This is  
21 regarding the review and the analyses. I haven't  
22 heard anything, and may have missed it, or any

1 comment on the use of these agents in children or  
2 potentially pregnant women. If she would comment  
3 on anything that she came across and potential FDA  
4 review in those two populations, and also  
5 if -- well, go ahead. Thank you.

6 DR. PLYKU: Thank you, Dr. Applegate, for  
7 the question. I think that patient population  
8 falls under the population with higher radiation  
9 risk, and these recommendations apply to adult  
10 patients, not pediatric patients or this approach  
11 under consideration that we are discussing. In my  
12 scheme, I think that would fall in the population  
13 with higher risk, or higher expected risk.

14 DR. APPLGATE: Okay. That answers my  
15 question. Thank you.

16 DR. ROYAL: Okay. We will now take a break.  
17 We'll reconvene at 3:10 Eastern Time. Panel  
18 members, please remember there will be no chatting  
19 or discussion of the meeting topics with other  
20 panel members during the break. Additionally, you  
21 should plan to reconvene around 3 p.m. to ensure  
22 that you're connected before we reconvene at

1 3:10 p.m. Thank you.

2 (Whereupon, at 2:42 p.m., a recess was  
3 taken, and meeting resumed at 3:10 p.m.)

4 **Clarifying Questions (continued)**

5 DR. ROYAL: We will now reconvene the  
6 meeting. As there are no registered open public  
7 hearing speakers, we will take the remaining time  
8 to answer any clarifying questions. Please use the  
9 raise-hand icon to indicate that you have a  
10 question, and remember to put your hand down after  
11 you have asked your question. Please remember to  
12 state your name for the record before you speak and  
13 direct your question to a specific presenter, if  
14 you can.

15 If you wish for specific slide to be  
16 displayed, please let us know the slide number, if  
17 possible. As a gentle reminder, it would be  
18 helpful to acknowledge the end of your question  
19 with a thank you, and the end of your follow-up  
20 question with, "That is all for my questions," so  
21 we can move on to the next panel member.

22 We have an FDA hand raiser. I don't know

1 the name.

2 DR. FOTENOS: Hi. This is Anthony Fotenos,  
3 clinical team leader, again, in the Division of  
4 Imaging and Radiation Medicine. I just wanted to  
5 take this opportunity to follow up on a couple of  
6 the questions for the FDA presenters with respect  
7 to the scope of the approach under consideration.

8 Some on the panel may be under the  
9 impression that the activity values under  
10 consideration apply to all patients during the  
11 development of a new PET drug, or potentially even  
12 to the entire premarket and postmarket population.  
13 Another way of saying this, for example, is for the  
14 copper-64 approved agent, that all future copper  
15 agents would be expected to have that administered  
16 activity, or at least that would be a path of least  
17 resistance. And I want to make very clear that  
18 that's not the scope of consideration under the  
19 proposed approach.

20 What we're talking about is, essentially,  
21 the first human subject, or subjects, though  
22 probably most formally referred to as the

1 pre-phase 1 dosimetry cohort. And it's  
2 specifically that population of phase 1 study  
3 subjects limited to sponsors who do not want to  
4 perform animal dosimetry studies.

5 So another way of saying this is that  
6 phase 1 clinical dosimetry is required for any new  
7 PET drug, and all those questions about an antibody  
8 having a special biodistribution and exploring for  
9 the lowest adequate dose, et cetera, that would  
10 still be our standard recommendation for dose  
11 optimization, and we would expect that there would  
12 be escalation and de-escalation rules in IND  
13 opening protocols, conditional again on the  
14 clinical dosimetry that would be drug specific and  
15 is still required.

16 So I'm trying to make an overall scope chop  
17 if any on the committee are under the impression  
18 that we're talking about administered activities  
19 for the entire population for a given drug. Thank  
20 you.

21 DR. ROYAL: Dr. Jacobs?

22 DR. JACOBS: My question actually was

1 related to what just came up here, what was just  
2 clarified. My view of what is being proposed here  
3 is that for a brand new PET drug, preferably C-11  
4 or F-18, the sponsor would go to the FDA and say,  
5 "We don't think we need to do animal preclinical  
6 studies for the following reasons." And the FDA  
7 would either agree or disagree at that point, and  
8 they would say, "Yeah, but you're planning on  
9 studying very young people with very high doses, so  
10 we don't think that's a good idea," or something  
11 like that.

12 So it is more a guidance approach than  
13 anything else. And my question is, have I got this  
14 right? And that's to the last speaker.

15 DR. FOTENOS: Yes, precisely. The approach  
16 under consideration is essentially a clarifying and  
17 streamlining approach on this division's part to  
18 say that, in general, the administered activity  
19 that you're proposing for this first-human cohort  
20 is less than that for corresponding approved  
21 products, and you want to skip animal dosimetry  
22 study, and you still plan to do phase 1 clinical

1 dosimetry, and de-escalate, and find the right  
2 dose, et cetera. It's just clarifying a pathway  
3 forward in a public open way instead of doing it on  
4 a per IND basis.

5 DR. JACOBS: So it's not an open  
6 get-out-of-jail free. The assumption is that in  
7 many cases you are clearly able to justify it, and  
8 in other cases, you wouldn't.

9 DR. FOTENOS: Yes.

10 DR. JACOBS: Okay. Thank you; very helpful.

11 **Questions to the Committee and Discussion**

12 DR. ROYAL: Are there any other clarifying  
13 questions?

14 (No response.)

15 DR. ROYAL: If not, the committee will now  
16 turn its attention to address the task at hand, the  
17 careful consideration of the data before the  
18 committee, as well as the public comments. We will  
19 now proceed with questions to the committee and  
20 panel discussions. I would like to remind public  
21 observers that while this meeting is open for  
22 public observation, public attendees may not



1 participate, except at the specific request of the  
2 panel.

3           After I read each question, we will pause  
4 for any questions or comments concerning its  
5 wording. If we can display the first question.  
6 Discuss the sufficiency of reviewed data from  
7 animal or human studies involving F-18, C-11,  
8 gallium-68, copper-64, rubidium-82, and nitrogen-13  
9 to allow a reasonable calculation of  
10 radiation-absorbed dose to the whole body in  
11 critical organs upon first-in-human administration  
12 of a new PET drug containing one or more of these  
13 radionuclides.

14           One thing we would like to discuss is  
15 whether or not this wording is clear. If you have  
16 any comments about the wording, please raise your  
17 hand.

18           DR. ROYAL: Mark? Dr. Mintun?

19           DR. MINTUN: Well, actually, given the lack  
20 of comments, I was going to say that I thought it  
21 was clear. So I could stop there because I think  
22 the next question is going to say discuss what we

1 think about the question. So I will pause and let  
2 you continue, Dr. Royal.

3 DR. ROYAL: Okay.

4 DR. MINTUN: But yes, I thought it was  
5 clear.

6 DR. ROYAL: Well, it's because you  
7 anticipated the next thing.

8 So now that there have been no questions or  
9 comments considering the question, we will now open  
10 the question for discussion, and I guess I'll take  
11 the chairman's prerogative and just make a comment  
12 here.

13 One of the things I'm struck by, as we spent  
14 an awful lot of time talking about issues related  
15 to measuring effective dose and some limitations of  
16 measuring effective dose, I would just point out  
17 that the connection between effective dose and risk  
18 is also tenuous. For example, if you did a study  
19 with an effective dose of X in someone who had a  
20 life expectancy of less than 10 years, the risk in  
21 that person approaches zero.

22 On the other hand, if you do that same dose,

1 no variability, and knowing what the dose is in an  
2 18 year old, it's going to be several times greater  
3 than that same dose in a 60-year-old person. So  
4 although risk is related to dose, it's also related  
5 to age of exposure and life expectancy.

6 Dr. Jacobs?

7 DR. JACOBS: Yes. I think that from the  
8 data there -- first of all, I agree with you a  
9 hundred percent that the risk is not high in  
10 somebody in my age group for sure, but for  
11 children, it certainly is relevant. But the other  
12 thing is the amount of data available for F-18 and  
13 C-11 seems to me is clearly sufficient.

14 I'm not convinced that we have enough data  
15 for the gallium or the copper to really make a  
16 reasonable calculation, and for that, I'm willing  
17 to have an argument with whoever would like to have  
18 one.

19 DR. ROYAL: Okay. Would someone like to  
20 argue in favor of this proposal for other  
21 radionuclides besides F-18 and copper-11?

22 Dr. Larson?

1 DR. LARSON: Well, I wouldn't call it an  
2 argument, but remember that the scope, as was  
3 nicely defined by the last speaker, is for a very  
4 specific and limited indication. That's really to  
5 move more rapidly to a first-in-human dosing, and  
6 that, to me, is important here because it will  
7 greatly accelerate certainly the development of  
8 radiopharmaceuticals with gallium or copper, I  
9 think, because it will eliminate the need for  
10 costly preliminary studies.

11 We are talking about very low doses here. I  
12 mean, the issue of safety, for example, comes up,  
13 and certainly all of us want to use the doses as  
14 low as reasonably acceptable, but these are not  
15 doses for which there is really firm data that  
16 there is a lot of potential risk. So in that  
17 sense, I would say that this is a reasonable  
18 starting point. Now, you could argue a little more  
19 about copper, I suppose, because it does have a  
20 12-hour half-life, which is not insignificant, but  
21 rubidium, and nitrogen, and gallium all are really  
22 pretty low.

1           So I would say that it's true that we would  
2     feel a lot more comfortable if there was the same  
3     numbers with gallium and copper in terms of the  
4     sufficiency of reviewed data. But nonetheless, I  
5     think that incorporating that with a concept that  
6     we're really talking about very safe doses, which  
7     have been previously used extensively in patients,  
8     even in the case of copper, I would argue that we  
9     could go ahead with this list.

10           DR. ROYAL: One of the things that I was  
11     really struck by in Dr. Plyku's presentation is she  
12     showed the slide of what the effective dose was per  
13     megabecquerel for all tracers, and then I-124 and  
14     zirconium. And it did seem like these tracers  
15     would give significantly lower doses than the other  
16     two tracers. So it kind of made sense to me  
17     anyways that you might group all of these together.

18           Oh, a lot of raised hands.

19           Dr. Mintun?

20           DR. MINTUN: Yes. Thank you. Mark Mintun.  
21     I feel similarly that while there's more data in  
22     two of these, I felt the way the question is worded

1 is that there is actually data that can allow a  
2 reasonable calculation. I would argue that for the  
3 tracers that have the longer half-life and the  
4 least amount of data -- for instance, the copper-64  
5 would be in that category -- I would expect that  
6 when the FDA actually calculates what they would  
7 consider a threshold dose, you could conceivably  
8 say, due to less data, we would be more  
9 conservative and be on the lower side of that.

10 But I think that there is actually  
11 demonstration with multiple different ways that  
12 we've calculated the effective dose for all of  
13 these tracers. And as you just pointed out, Henry,  
14 and I was going to point out, the half-life of  
15 these means that you can collect images, within  
16 those half-lives, reasonably high-quality images  
17 without having high amounts of dosimetry, which is  
18 not quite as true when you have the much longer  
19 half-life agents, where they end up having a lot  
20 more dose to the patient for the amount of imaging  
21 you can get out of them in a reasonable time.

22 So I would say that all of these have enough

1 data that one can make a reasonable risk assessment  
2 and calculate a level of dose that is safe. I'm  
3 not saying they should all be exactly the same  
4 formula for doing it. Like I said, it could be  
5 that ones with a little longer half-life, you'd  
6 want to be a little more conservative in your  
7 calculation, but I think all of them could be used  
8 to generate a first-in-human administrative sort of  
9 threshold. Thank you.

10 DR. ROYAL: Terry Gillespie.

11 MS. GILLESPIE: Hi, everyone. I just want  
12 to put a patient view into this. I've been having  
13 PET scans once a year, sometimes twice a year, for  
14 20 years, and this kind of bothers me that you're  
15 willing to do a calculation and not prove a human  
16 being that was using these drugs really need these  
17 scans; they need the PET scan and they need to find  
18 out what's going on, and not to make it worse for  
19 them. If they're doing a first-time PET drug, and  
20 they're already sick, I don't know; the calculation  
21 doesn't seem like a risk I'd want to take, but  
22 would have to take.

1           Does that make sense? I'd have to take it  
2 because I need the PET scan to see what's going on.  
3 Would I want to? No, because you guys have no  
4 idea. You're guessing. It's something to think  
5 about if your loved one was in the same situation  
6 as I am, a 20-year lung cancer survivor, and have  
7 to have that PET scan, or CT scan; have to, every  
8 year. Some people are going every 3 months. Some  
9 people are going once a month. It's a lot to think  
10 about when you're guessing at the dosage. That's  
11 all I have.

12           DR. ROYAL: Thank you.

13           MS. GILLESPIE: Thank you.

14           Dr. Herscovitch?

15           DR. HERSCOVITCH: Hi. Thank you. This is  
16 Peter Herscovitch with comments. First, I do want  
17 to say that I want to congratulate FDA staff for  
18 doing an outstanding literature review and  
19 analysis, and I hope they can publish their results  
20 at some point. And the question is, did they  
21 provide a reference standard? They received  
22 extensive review with respect to dosimetry and



1 safety, and I think provide reasonable reference  
2 data, along with all the extensive reports of  
3 investigational drugs, especially for C-11, F-18,  
4 and gallium.

5 Also, it's interesting to note and compare  
6 their results to the paper of 2012 by van der Aart,  
7 where Dr. Hallett I believe was one of the  
8 co-authors, and they came up with the same average  
9 effective dose coefficient, roughly 6 microsieverts  
10 per megabecquerel for 37 C-11 drugs, which is  
11 basically the same as the FDA's more extensive  
12 recent analysis. So it's excellent. It's very  
13 nice to see this agreement.

14 As was previously noted, I was impressed by  
15 the fact that the ED values from human studies of  
16 investigational PET drugs were typically quite low,  
17 or less than 10 millisievert, or rem, for C-11, and  
18 20 millisievert, for example, for F-18. But also  
19 importantly, one has to consider individual organs,  
20 and in those cases from their extensive review, in  
21 general, the organs with the highest administered  
22 dose coefficients were not the organs of concern,

1 for example, under the RDRC regulations,  
2 blood-forming lens and gonads, and I felt that was  
3 very encouraging as well.

4           However, I am still concerned that perhaps  
5 there's not enough data available for copper-64,  
6 both with its longer half-life than copper,  
7 fluorine, or gallium, and also, it could be used to  
8 label antibodies, which often have a rather  
9 different in vivo biodistribution. So I will  
10 perhaps come in somewhere in between Dr. Jacobs and  
11 some of the other comments, that I do think there  
12 are sufficient data from all the studies summarized  
13 for copper-11, F-18, and gallium-68, and of course  
14 for N-13 and rubidium, but I am still concerned  
15 about copper-64 with regard to sufficiency of data.  
16 Thank you.

17           DR. ROYAL: Dr. Nedrow?

18           DR. NEDROW: Yes. I think, overall, the  
19 data presented was well done, especially for F-18  
20 and carbon-11. Based on the initial comment at the  
21 very beginning of this, I think it helped verify,  
22 but I'm just curious if maybe the FDA could further

1 elaborate on this to help streamline to get the  
2 initial in-human dosimetry studies done at these  
3 proposed doses or a variation of those.

4           That's not going to be every agent that  
5 comes through, but is there a criteria for a PET  
6 agent that could potentially qualify for this  
7 radiation dose? For example, if you're doing brain  
8 imaging and you have slight modifications to your  
9 small molecule, or a peptide with a different type  
10 chelators, more so for copper-64, but fluorine-18  
11 if you wanted to try the aluminum fluoride type  
12 thing, or minor modifications, or a second  
13 generation of PET agents that have been tested  
14 thoroughly on a first generation; is that more in  
15 line with what the FDA is thinking, or is there  
16 just going to be some criteria of what agents would  
17 actually qualify to be considered for this?

18           Thank you. If someone could elaborate on  
19 that a bit more.

20           DR. FOTENOS: FDA is recognized, and I'm  
21 happy to respond. Thank you. I think the short  
22 answer to your question is our interpretation of

1 the applicable regulation is that there's very  
2 little flexibility regarding the need for human  
3 dosimetry if you want to go to phase 2. Phase 1  
4 studies must perform human dosimetry for the drug  
5 under investigation. FDA's interpretation of what  
6 is a new drug, any variation in the structure will  
7 make it a new drug. I mean, even a new  
8 manufacturer makes it a new drug.

9 That's really not the question. To answer  
10 your question directly, the scope here is just the  
11 need to perform animal dosimetry data for a given  
12 product prior to, essentially, clinical dosimetry,  
13 and trying to create a flexible approach, given  
14 that the studies we see during phase 1, they have a  
15 huge variety of aims. Some are carefully dose  
16 escalating.

17 There are a lot of clinical pharmacology  
18 principles for dose optimization that are  
19 independent of our discussion here, and those don't  
20 go out the window whether or not you get animal  
21 dosimetry data, but it's a very narrowly tailored  
22 question about the need for animal dosimetry data,

1 recognizing, essentially, that as soon as the  
2 clinical dosimetry data is in hand, those prior  
3 animal dosimetry estimates really play no role.  
4 They don't go into labeling. They don't go into  
5 decisions about raising or lowering the dose or any  
6 activity for future cohorts. So we're sort of  
7 narrowly focused on this question of the need for  
8 animal dosimetry data, but the approach is for the  
9 isotopes still listed.

10 DR. ROYAL: Okay.

11 Dr. Dewaraja?

12 DR. DEWARAJA: Yes. My question -- I don't  
13 know if this is a separate discussion -- but is  
14 related to --

15 DR. ROYAL: Please state your name and your  
16 affiliation.

17 DR. DEWARAJA: Yuni Dewaraja from University  
18 of Michigan. I'm not sure if this is a separate  
19 discussion, but I would like to know what the  
20 status is regarding the chemical toxicity or mass  
21 dose requirements with animal studies because I  
22 know that it's been very hard to get data on that,

1 but we've been looking at some PSMA studies, where  
2 we're trying to get some mass dose information and  
3 how much animal data is available for that.

4 Is that a consideration here also?

5 DR. COHEN: Answering the question, this is  
6 Jonathan Cohen. Regarding the extent of  
7 nonclinical data that's recommended to support a  
8 first-in-human study, or phase 1 study, that's  
9 mainly a case-by-case basis depending upon what the  
10 target is, what available published literature  
11 there is, and what available nonclinical data.  
12 That's also kind of outside the scope of dosimetry.  
13 Typically, we recommend sponsors that are  
14 developing products that follow the microdose  
15 guidance and stay within those limits of less than  
16 100 micrograms per small molecules, 30 nanomoles  
17 for proteins and biologics, and more details,  
18 generally, prior to submitting an IND with a  
19 meeting request.

20 I don't know if that clarifies for you.

21 DR. DEWARAJA: So that's not going to  
22 change. You're still going to require the animal

1 studies for chemical toxicity and --

2 DR. COHEN: Yes. We're still going to  
3 recommend that one does tox studies to support  
4 their development unless they can provide  
5 justification for not doing those studies.

6 DR. DEWARAJA: I see. I feel there's  
7 sufficient data for the animal dosimetry or  
8 clinical dosimetry, but generally it has been quite  
9 difficult to find information on chemical toxicity  
10 or mass dose information from any of these approved  
11 agents. Thank you.

12 DR. COHEN: You're welcome.

13 DR. ROYAL: Are there any other comments  
14 from the panel, comments or questions?

15 Dr. Xiong?

16 DR. XIONG: Thank you. Chengjie Xiong. I'm  
17 going to just comment from maybe a statistical  
18 point of view. Certainly, if you say there is only  
19 one drug within the radionuclide class, and all the  
20 statistics or the cutoffs are based on one drug,  
21 there is a lack of information, and that's just  
22 from a purely statistical point of view. Like C-11

1 or F-18, you just don't have many drugs to support  
2 your conclusion.

3 The other comment -- and I think I already  
4 made some related comment an hour or two ago -- is  
5 about the stochastic risk. I think your data shows  
6 a hypothetical 18 year old somewhere between  
7 5-fold, if I recall correctly, to 20-fold increase  
8 of risk of cancer of kidney. I would love to see  
9 some kind of real data if available. I know that's  
10 not in the FAERS database, but perhaps some other  
11 that can make people realize what that really means  
12 as a function of many other factors, sex, age, and  
13 all those things we just talked about.

14 I don't know. I think Dr. Bolch -- I  
15 believe that's his name -- mentioned some of the  
16 studies they are doing. I do think if that's  
17 real-world data on the PET drugs, that could be  
18 really important to look at.

19 DR. ROYAL: I think the data that you were  
20 citing was a 5 percent increase in cancer risk as  
21 opposed to a 5-fold cancer risk.

22 DR. XIONG: Is that 5 percent or 20 percent?



1 DR. ROYAL: We'd have to see the slide  
2 again.

3 DR. XIONG: I think that's slide 25 from  
4 Dr. Plyku's presentation.

5 DR. PLYKU: It was a 4-fold increase for  
6 copper-64 as compared to F-18 FDG. That was the  
7 highest difference, 4-fold.

8 DR. ROYAL: So you're saying that the risk  
9 was 4 times greater than it would have been for  
10 F-18.

11 DR. PLYKU: Yes, for that unrealistic case.

12 DR. ROYAL: The lifetime risk for getting  
13 cancer is 25 percent, or dying of cancer, so  
14 4 times that would mean there would be a  
15 100 percent chance that you'd die of cancer. But,  
16 again, the 4-fold increase is that compared to  
17 F-18.

18 Dr. Bolch?

19 DR. BOLCH: Yes. Wes Bolch, University of  
20 Florida. Just a quick comment on this risk. We've  
21 been talking about risk index. Aside from the  
22 effective dose, the risk index does factor in, to

1 the best of our knowledge, age- and sex-specific  
2 variations in risk, and they're coming from studies  
3 of atomic bomb survivors supplemented by other  
4 studies. But there's huge confidence --  
5 uncertainties on those risk estimates. So we  
6 should never presume that they apply to any  
7 particular patient. It's really just a measure of  
8 risk to be optimized to image quality benefit.  
9 It's really a tool for dose optimization, and we  
10 really need to be careful about whether it's a  
11 meaningful risk to any particular patient  
12 undergoing these very low activity administrations.  
13 Thank you.

14 DR. ROYAL: Dr. Applegate?

15 DR. APPLGATE: Yes. Kimberly Applegate. I  
16 wanted to ask -- and I tried to put it in the  
17 chat -- for all of this discussion we've been  
18 having, the slide where the data were provided for  
19 humans and animals that Dr. Plyku had for what was  
20 available, and it had the relative data points,  
21 where there were many, and as Dr. Chengjie had  
22 mentioned, the statistics were quite adequate for

1 the main PET agents that we use today, so for F-18  
2 and carbon-11, and maybe gallium, but not so much  
3 for the others.

4 So if we had that in front of us when we  
5 were having this discussion, I think it would help  
6 us, where we might come to a more consensus in our  
7 discussion; at least it would help me. So I'm  
8 asking if that can be done, if we can have that one  
9 slide put in front of us, because we don't have as  
10 much data on the less used radionuclides.

11 DR. ROYAL: So there was a slide that had  
12 all of the radionuclides, including I-124 and  
13 zirconium. That would be a nice slide to display.

14 DR. APPLGATE: Thank you.

15 MALE VOICE: Henry, I think this corresponds  
16 to tables 3 and 4 in our printed packet.

17 DR. ROYAL: Can the FDA display the slide?

18 AV TECH: Hi. Which of Dr. Plyku's slides  
19 was it?

20 DR. ROYAL: It's the slide that has the  
21 effective dose for all the radionuclides, including  
22 zirconium and iodine.

1 MALE VOICE: Slide 19.

2 DR. ROYAL: Yes, that's the slide.

3 DR. APPLGATE: Now, if you just focus on  
4 the right-hand side, there's a ton of data and low  
5 variability for -- well, it looks like for carbon.

6 DR. COHEN: You mean the left side,  
7 Kimberly, right?

8 DR. APPLGATE: The left-hand side, yes; the  
9 left-hand side of the table, but not so much for  
10 the right-hand side.

11 DR. ROYAL: Yes. Someone commented about  
12 having only one data point for copper-64. There  
13 are at least a lot of data points displayed on this  
14 slide.

15 DR. APPLGATE: Yes, and that's true. There  
16 was another --

17 MALE VOICE: The slide right before that,  
18 number 18, I think has got more detail that you can  
19 see. Yeah, that one. I was was concerned about  
20 the copper-64 because it was not very much of them.

21 DR. APPLGATE: Yes.

22 DR. ROYAL: So I am supposed to summarize

1       what the panel thinks, and I'm not sure that I'm  
2       ready to do that yet.  Maybe we should just quickly  
3       go through all of the panel members with this slide  
4       up, and you could tell us whether or not you would  
5       want any of these six radionuclides excluded from  
6       having sufficient data to avoid animal dosimetry  
7       prior to phase 1 studies.

8               DR. APPLGATE:  Could we also have the next  
9       slide?  Because it had the animal and the human.

10              DR. ROYAL:  Sure.

11              DR. APPLGATE:  I don't know if we could  
12       have both of them.

13              DR. ROYAL:  I'm going to just go down the  
14       roster of members and ask you where you stand, and  
15       I'm going to start with Dr. Bolch.

16              DR. BOLCH:  I'm a little confused in that  
17       the copper-64 data seemed disparate between this  
18       slide and the previous slide, but I guess I'll say  
19       I support the proposed list as presented to us by  
20       FDA.

21              DR. ROYAL:  Okay.

22              Dr. Hackney?

1 DR. HACKNEY: I have the same concern as  
2 Dr. Bolch. I'm not sure what to do about the  
3 copper because it doesn't seem to match between  
4 those two data sets, and maybe we just need more  
5 time to look at it. But certainly accepting that,  
6 I'm happy with the suggestion from the FDA, and I  
7 echo Dr. Herscovitch's congratulations for the very  
8 well done presentation.

9 DR. ROYAL: Dr. Herscovitch?

10 DR. HERSCOVITCH: I think there's more than  
11 enough data to support copper-11, fluorine-18, and  
12 gallium-68. I do have some reservations about  
13 copper-64 with regard to the paucity of data and  
14 its longer half-life.

15 DR. ROYAL: Okay. Dr. Jacobs?

16 MALE VOICE: If I may, the FDA has a  
17 comment.

18 DR. ROYAL: I don't see a hand raised. Who  
19 has a comment?

20 There it is. Okay. FDA has their hand  
21 raised.

22 DR. FOTENOS: Just very briefly, with

1       respect to this question of the discrepancy between  
2       the two slides, one is, as was mentioned, contains  
3       both human and animal data, and the previous one is  
4       a subset of the human.

5               DR. ROYAL: Thank you for that  
6       clarification.

7               Dr. Jacobs?

8               DR. JACOBS: Yes. I'm with Dr. Herscovitch  
9       here. I think there's more than enough data,  
10       except I'm a little concerned about the copper  
11       because there's so few of them, and it's a longer  
12       half-life. On the other hand, a careful  
13       implementation of it might be acceptable as well  
14       because I think the FDA will be looking very  
15       closely at what's being proposed by someone. So I  
16       have no question about all of them but the copper.

17              DR. ROYAL: Okay. Thank you.

18              Dr. Oates?

19              DR. OATES: Yes. I'm fully supportive of  
20       all six of the radionuclides. I found this  
21       discussion to be fascinating, great presentations,  
22       great discussion, and great deliberation, but I'm

1 in favor of all six of them being put forward.

2 DR. ROYAL: Dr. Sanghani?

3 DR. SANGHANI: I share similar concerns  
4 about copper-64 as the others, given its longer  
5 half-life and a smaller amount of data, but I think  
6 the approach, as was mentioned at the beginning of  
7 this session, is really what's important, so I  
8 support all six.

9 DR. ROYAL: Okay.

10 Dr. Mintun?

11 DR. MINTUN: Yes. I also support all six.  
12 I understand the concerns about copper, but I don't  
13 think there's anything mysterious. It's going to  
14 behave, I think, in a pretty predictable way, and  
15 its longer half-life means it's at the far-right of  
16 that set of curves there. But I don't think  
17 anything unexpected will happen with it, so I'm  
18 happy with all six.

19 DR. ROYAL: Dr. Dewaraja? Sorry. I keep  
20 mispronouncing your name.

21 DR. DEWARAJA: I support the suggestions as  
22 it is for all six of them. I think that's plenty



1 of data.

2 DR. ROYAL: Terry Gillespie?

3 MS. GILLESPIE: In listening to the  
4 scientific part of this, I guess I could agree that  
5 all six don't have to do animal trials before  
6 human, hoping.

7 DR. ROYAL: Thank you. Dr. Larson?

8 DR. LARSON: Yes, I agree with all six,  
9 especially in the scope as been defined by our FDA  
10 colleagues.

11 DR. ROYAL: Dr. Nedrow?

12 DR. NEDROW: Hi. I agree also that all six  
13 should be fine within the scope as just stated by  
14 FDA. And I'm sure, as has been presented multiple  
15 times, that the consideration of the  
16 pharmacokinetics of the agents, especially for  
17 copper-64, will be a factor in determining if  
18 animal dosimetry is needed or not.

19 DR. ROYAL: Dr. Royal is in favor of all  
20 six. I would just say we're not eliminating the  
21 need for human dosimetry; it's just the order in  
22 which it would be obtained, and it would be

1       obtained in a very small number of people.

2               Dr. Xiong?

3               DR. XIONG: I share some of the concerns  
4       that are expressed already about some of the  
5       radionuclide drugs, but I think that the strength  
6       of this is the data are based on the approved data,  
7       drugs, which we know the safety profile pretty  
8       well. So I'll go with all six drugs, six classes,  
9       without the animal dosimetry study.

10              DR. ROYAL: Dr. Bolch has his hand raised.

11              DR. BOLCH: Yes. Wes Bolch, University of  
12       Florida. Well, my colleagues are saying they're  
13       fine with all six, but there are seven here. So I  
14       just want to clarify, when you say you're okay with  
15       all six, is that proper, or did people mean to say  
16       seven and they said six? So I just want to clarify  
17       that, Henry. Thank you.

18              DR. ROYAL: No, I'm counting them. One,  
19       two, three, four, five, six, seven. So you must be  
20       a mathematician. Yes, there are seven, and I  
21       believe that everyone was referring to the seven,  
22       not the six.

1           Okay. So my summary of the discussion of  
2 this first question is that probably three-quarters  
3 of the panel members agreed with including all six  
4 radionuclides, and a quarter thought that that --

5           DR. BOLCH: You mean seven.

6           DR. ROYAL: -- all seven radionuclides, and  
7 maybe 25 percent that that copper-64 should be  
8 treated differently.

9           Okay. If we could have the display of  
10 question number 2 --

11           DR. APPELEGATE: Hey. I just want to ask,  
12 Henry -- this is Kimberly Applegate -- if I'm a  
13 voting member. I believe I am.

14           DR. ROYAL: Yes, you are.

15           DR. APPELEGATE: Right. So I would also like  
16 to vote.

17           DR. ROYAL: Oh, I didn't call your name?  
18 I'm sorry.

19           DR. APPELEGATE: No, that's ok. I also agree  
20 with the FDA proposal that all seven of these  
21 radionuclides would be appropriate going forward,  
22 although there are less data for copper. Thank

1 you.

2 DR. ROYAL: And Dr. Sanghani has her hand  
3 raised.

4 DR. SANGHANI: Yes. It is six, correct?  
5 Because 0-15 was on the previous slide, but I do  
6 not believe it is part of the six that we are  
7 actually looking at. So this slide has the actual  
8 six we are voting on.

9 DR. ROYAL: So Dr. Bolch was just trying to  
10 confuse us.

11 (Dr. Sanghani laughs.)

12 DR. BOLCH: Okay. The slide that we were  
13 looking at had seven.

14 DR. ROYAL: Yes, but what we're voting on is  
15 F-18, carbon-11, gallium-64 [sic - 68], copper-64,  
16 rubidium-81 [sic - 82], and nitrogen-13.

17 Okay. I think we can move on to question 2.  
18 Question 2 is discuss reasonableness of the --

19 MS. BHATT: Dr. Royal, if I can jump in, I  
20 just want to clarify that this is not a voting  
21 question, so a discussion question.

22 DR. ROYAL: Yes?

1 MS. BHATT: Before we move on to discussion  
2 question 2, I just wanted to clarify that question  
3 number 1 is a discussion question, so panel members  
4 shared their opinions and their remarks, but it was  
5 as a discussion, not as a voting question. Thank  
6 you.

7 DR. ROYAL: Right.

8 FEMALE VOICE: Thank you.

9 DR. ROYAL: Okay. Question 2 is discuss the  
10 reasonableness of the approach under consideration  
11 involving administered activity values for new PET  
12 tracers containing F-18, C-11, gallium-68,  
13 copper-64, rubidium-82, and nitrogen-13, such that  
14 phase 1 studies were both initially administered  
15 one or more activity levels less than the value,  
16 and collect sufficient human dosimetry calculations  
17 that may generally be found safe to proceed from a  
18 radiation safety perspective in the absence of  
19 dosimetry data based on prior animal administration  
20 of the new PET drug under investigation.

21 Any questions about the wording of this  
22 question?

1 (No response.)

2 DR. ROYAL: Okay. I don't see any hands  
3 raised, so I'm going to assume that the --

4 DR. DEWARAJA: I have a question. Sorry.  
5 This is Yuni Dewaraja. I'm a little confused. I  
6 thought we said that it was going to be we're to  
7 collect human data for the first patient only, but  
8 here it says -- I'm confused by the wording here.  
9 One of the previous presenters had mentioned  
10 something about doing the dosimetry only for the  
11 first patient.

12 DR. ROYAL: Can someone from the FDA clarify  
13 how many patients you would anticipate be studied  
14 for dosimetry in phase 1?

15 DR. FOTENOS: Sure. Again, the regulation  
16 under consideration is the phase 1 studies must  
17 include human dosimetry, so there's some  
18 flexibility in terms of when that occurs. The  
19 approach here is designed to accommodate both some  
20 of the specific considerations -- for example,  
21 mentioned was individual labs by our guest  
22 speakers -- but also not be limited to those

1       specifics.

2               So in short, our general recommendation,  
3       though, would be that the clinical dosimetry  
4       studies occur as soon as possible during phase 1  
5       investigation, and, generally, dosimetry studies  
6       sort of follow clinical pharmacology logic in terms  
7       of the number of patients studied. So we're  
8       typically seeing in the range of 6 to 20. Those  
9       are common rules of thumb, but they're not  
10       statistically powered or anything like that. I  
11       hope that addresses the question.

12              DR. DEWARAJA: My question was mostly  
13       regarding that I thought there was a mention of  
14       doing one patient at a lower activity. Where does  
15       that come in, the first patient?

16              DR. FOTENOS: The approach under  
17       consideration could include that. Protocols that  
18       describe that sequence, exactly, would certainly  
19       qualify as generally safe to proceed from a  
20       radiation perspective, but the approach is designed  
21       to be more flexible and not to require anyone to  
22       follow a specific lab's recommendation or approach.

1 So the approach you mentioned would qualify, but  
2 it's not the only approach that would qualify.

3 DR. DEWARAJA: Thank you.

4 DR. ROYAL: Any additional clarifications  
5 regarding this question?

6 (No response.)

7 DR. ROYAL: Okay. I think we need to have  
8 the table of recommended administered activities  
9 for each of these radionuclides displayed.

10 AV TECH: May I have the slide number,  
11 please?

12 DR. BOLCH: I believe it was table 2 in  
13 Dr. Plyku's presentation, corresponding to table 5  
14 in the printed document.

15 DR. PLYKU: Slide 21.

16 DR. BOLCH: And we're talking about the  
17 second-to-the-last column, correct?

18 DR. ROYAL: Yes.

19 So the way I understand this table is that  
20 one would be able to start doing an FDG dosimetry  
21 study and phase 1 study using 8 millicuries of  
22 activity for fluorine-18, 15 for carbon-11,



1 et cetera. So the question before us is whether or  
2 not we agree with this table, is the way I  
3 understand it. And the only thing I would comment  
4 on is some of the activities have been rounded off.  
5 Some of them are reported in three digits,  
6 suggesting more significant figures than are really  
7 warranted, and I would just round them off properly  
8 to military values. With gallium-68, I might put  
9 4. I might put 40 for rubidium.

10 Any other comments about that column of  
11 suggested activities?

12 DR. JACOBS: Jacobs here.

13 DR. ROYAL: Dr. Jacobs?

14 DR. JACOBS: Yes. Paula Jacobs. It was my  
15 understanding that this would be the upper limit,  
16 and it would also be, in many cases, recommended  
17 that it would not be necessary to use the entire  
18 amount for your first patient; that you would start  
19 off with whatever would allow you to get a decent  
20 image to just verify that it didn't go someplace  
21 that you weren't expecting, and that then you would  
22 go on and do a regular dosimetry in the normal

1 fashion.

2 DR. ROYAL: Could someone from the FDA  
3 address that comment?

4 DR. FOTENOS: We agree, and from clinical  
5 pharmacology principles, definitely recommend that  
6 phase 1 investigation explore multiple administered  
7 activities. This is to be considered the upper  
8 bound prior to obtaining clinical dosimetry,  
9 certainly not a lower bound.

10 DR. ROYAL: Dr. Bolch?

11 DR. BOLCH: My camera turned off. A couple  
12 of comments. Can you hear me, Henry?

13 DR. ROYAL: Yes, definitely can.

14 DR. BOLCH: I would round off the values,  
15 the megabecquerels, to two significant figures  
16 instead of three. And it's my understanding that  
17 the proposal is that you could start the  
18 first-in-human trial if the administered activity  
19 is below this level without the need for  
20 preclinical animal data.

21 Am I saying that correctly?

22 DR. FOTENOS: Yes, exactly.

1 DR. BOLCH: Okay. Thank you.

2 DR. ROYAL: I'm kind of hearing two  
3 different things, because I thought Dr. Bolch just  
4 said you could start at this dose, and then I  
5 thought I heard the FDA say good pharmacologic  
6 practice would be to start from a lower dose.

7 DR. BOLCH: Well, these would be upper  
8 limits.

9 DR. FOTENOS: Both statements are true,  
10 essentially. We have our recommendations, and then  
11 we also have the principle of flexibility. And of  
12 course, what's not stated in the question, and  
13 probably should be -- it was certainly covered in  
14 the briefing document and on the slides -- is that  
15 the population under study needs to be similar to  
16 the approved population. And it's not explicitly  
17 in that one sentence to the question, so I want to  
18 make sure to highlight that there's always clinical  
19 judgment in terms of the investigational  
20 population.

21 DR. ROYAL: Okay. Dr. Nedrow?

22 DR. NEDROW: Yes. I like the mean

1 recommended administered activity. I'm just  
2 wondering if the FDA considered doing it based on  
3 megabecquerels per kilogram to have a more  
4 normalized dose to patients.

5 DR. FOTENOS: Most of the approved products,  
6 especially for adult use, are not weight-based.  
7 Even though studies that are proposing weight-based  
8 approaches are fair game, it would seem  
9 inconsistent with at least the bulk of the approved  
10 products today.

11 DR. ROYAL: Dr. Herscovitch?

12 DR. HERSCOVITCH: Hello. This is Peter  
13 Hercovitch from the NIH. I would just like to make  
14 the comment about those data in the  
15 second-to-the-last column on the right being the  
16 upper limit, but also that they're based on the  
17 package insert. And, in general, I think package  
18 insert doses are based on the ability of the  
19 radiopharmaceutical to be useful as a diagnostic to  
20 detect disease like a small metastases, whereas  
21 initial human dosimetry studies I think can often  
22 require lower doses because one is typically

1 measuring radioactivity from whole organs.

2           So I think there is a fair amount of  
3 judgment that could go into it, and I think the  
4 folks from the FDA did mention starting off with  
5 lower doses than in that table. But in terms of  
6 opinion, I think those are reasonable upper limits  
7 and thresholds, and even though I did express  
8 concerns for copper-64, I do have confidence that  
9 in interactions between the investigators and the  
10 FDA, this isn't going to be a blanket approval of,  
11 yes, you can use four; consideration will be built  
12 into what the FDA approves. So I feel somewhat  
13 more comfortable about copper-64, knowing how the  
14 FDA will apply these limits. Thank you.

15           DR. ROYAL: Thank you, Peter.

16           Okay. I don't see any more hands raised, so  
17 to summarize the comments, it seems like the panel  
18 is comfortable with the reasonableness of this  
19 approach and is comfortable with the activity  
20 levels that are in that table.

21           Before we adjourn, are there any last  
22 comments from the FDA?

1 DR. MARZELLLA: We greatly appreciate the  
2 discussion and the preparation that went into it.  
3 Thank you very much.

4 **Adjournment**

5 DR. ROYAL: Okay. We will now adjourn the  
6 meeting. Thank you to the FDA staff and to the  
7 panel members

8 (Whereupon, at 4:10 p.m., the meeting was  
9 adjourned.)

10

11

12

13

14

15

16

17

18

19

20

21

22