

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Thursday, November 16, 2023

9:00 a.m. to 1:49 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

Moon Hee V. Choi, PharmD

Division of Advisory Committee and Consultant
Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Ranjana H. Advani, MD

Saul Rosenberg Professor of Lymphoma Division of
Oncology
Stanford University School of Medicine
Stanford, California

Toni K. Choueiri, MD

Director, Lank Center for Genitourinary Oncology
Professor, Harvard Medical School
Dana-Farber Cancer Institute
Boston, Massachusetts

1 **Mark R. Conaway, PhD**

2 Professor

3 Division of Translational Research and Applied

4 Statistics

5 Department of Public Health Sciences

6 The University of Virginia School of Medicine

7 Charlottesville, Virginia

8

9 **William J. Gradishar, MD**

10 Professor of Medicine/Betsy Bramsen Professor of

11 Breast Oncology

12 Chief, Hematology/Oncology

13 Robert H. Lurie Comprehensive Cancer Center

14 Feinberg School of Medicine at

15 Northwestern University

16 Chicago, Illinois

17

18

19

20

21

22

1 **Christopher H. Lieu, MD**

2 Associate Professor of Medicine

3 Associate Co-Director for Clinical Research

4 Director, Gastrointestinal Medical Oncology

5 University of Colorado Cancer Center

6 Aurora, Colorado

7

8 **David E. Mitchell**

9 *(Consumer Representative)*

10 President

11 Patients for Affordable Drugs

12 Bethesda, Maryland

13

14 **Jorge J. Nieva, MD**

15 Associate Professor of Clinical Medicine

16 Section Head, Solid Tumors

17 University of Southern California (USC)

18 Norris Comprehensive Cancer Center

19 Keck School of Medicine of USC

20 Los Angeles, California

21

22

1 **Ashley Rosko, MD**

2 Professor - Clinical

3 Division of Hematology

4 The Ohio State University (OSU)

5 Medical Director Oncogeriatric

6 OSU Comprehensive Cancer Center

7 Columbus, Ohio

8

9 **Daniel Spratt, MD**

10 Vincent K Smith Chair, Department of Radiation

11 Oncology

12 Professor of Radiation Oncology and Urology

13 UH Seidman Cancer Center

14 Case Western Reserve University

15 Cleveland, Ohio

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS

(Non-Voting)

Jonathan D. Cheng, MD

Senior Vice President
Head of Oncology Development
Global Drug Development
Bristol-Myers Squibb
Lawrenceville, New Jersey

TEMPORARY MEMBERS (Voting)

Andy Chen, MD, PhD

(Acting Chairperson)
Associate Professor
Knight Cancer Institute
Oregon Health & Science University
Portland, Oregon

1 **Gita Thanarajasingam, MD**

2 Associate Professor of Medicine
3 Consultant, Lymphoma Disease Group
4 Division of Hematology
5 Mayo Clinic
6 Rochester, Minnesota

7

8 **Alexander A. Vinks, PhD, PharmD, FCP**

9 Professor Emeritus
10 Cincinnati Children's Hospital Medical Center &
11 University of Cincinnati, College of Medicine,
12 Cincinnati, Ohio
13 Partner, NDA Partners LLC
14 Washington, District of Columbia

15

16 **Richard A. Zavadowski**

17 *(Patient Representative)*
18 Manassas, Virginia

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Richard Pazdur, MD**

3 Director, Oncology Center of Excellence (OCE)

4 Office of the Commissioner (OC)

5 Director (Acting)

6 Office of Oncologic Diseases (OOD)

7 Office of New Drugs (OND), CDER, FDA

8

9 **Marc Theoret, MD**

10 Deputy Center Director

11 OCE, OC

12 Supervisory Associate Director (Acting)

13 OOD, OND, CDER, FDA

14

15 **Paul Kluetz, MD**

16 Deputy Center Director

17 OCE, OC

18 Supervisory Associate Director (Acting)

19 OOD, OND, CDER, FDA

20

21

22

1 **Nicole Gormley, MD**

2 Director

3 Division of Hematologic Malignancies II (DHM II)

4 OOD, OND, CDER, FDA

5

6 **Nicholas Richardson, DO, MPH**

7 Deputy Director

8 DHM II, OOD, OND, CDER, FDA

9

10

11 **Yvette Kasamon, MD**

12 Clinical Team Leader

13 DHM II, OOD, OND, CDER, FDA

14

15 **Gautam Mehta, MD**

16 Cross Discipline Team Leader (Acting)

17 Division of Oncology Products II

18 OOD, OND, CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order	
Andy Chen, MD, PhD	12
Introduction of Committee	
Moon Hee V. Choi, PharmD	12
Conflict of Interest Statement	
Moon Hee V. Choi, PharmD	19
FDA Opening Remarks	
Timely Completion of Confirmatory Trials	
After Oncology Accelerated Approvals	
Gautam Mehta, MD	25
Clarifying Questions	43
FDA Introductory Comments	
Nicholas Richardson, DO, MPH	65
Applicant Presentations Acrotech Biopharma Inc.	
Introduction	
Ashish Anvekar	75
Disease Background and Treatment Landscape	
Owen A. O'Connor, MD, PhD	80

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Postmarketing Requirements (PMR)	
Studies: Phase 1 Results and Phase 3 Design	
Swaminathan Iyer, MD	99
PMR Study Timeline	
Ashish Anvekar	107
FDA Presentation	
Pralatrexate (NDA 022468) and	
Belinostat (NDA 206256)	
Yvette Kasamon, MD	112
Clarifying Questions	132
Open Public Hearing	148
Clarifying Questions (continued)	184
Questions to the Committee and Discussion	218
Adjournment	250

P R O C E E D I N G S

(9:00 a.m.)

Call to Order

DR. CHEN: Good morning, and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her contact information is currently displayed.

My name is Dr. Andy Chen, and I will be chairing this meeting. I will now call the November 16, 2023 Oncologic Drugs Advisory Committee meeting to order. Dr. Moon Hee Choi is the acting designated federal officer for this meeting and will begin with the introductions.

Introduction of Committee

DR. CHOI: Good morning. My name is Moon Hee Choi, and I am the acting designated federal officer for this meeting. When I call your name, please turn on your camera, unmute, and introduce yourself by stating your name and affiliation for the record. We will first start with the standing

1 committee members.

2 Dr. Advani?

3 DR. ADVANI: Ranjana Advani from Stanford.

4 DR. CHOI: Dr. Choueiri?

5 DR. CHOUEIRI: Toni Choueiri, Dana-Farber
6 Cancer Institute, Boston.

7 DR. CHOI: Dr. Conaway?

8 DR. CONAWAY: Mark Conaway, biostatistics,
9 University of Virginia.

10 DR. CHOI: Dr. Gradishar?

11 DR. GRADISHAR: Bill Gradishar, Northwestern
12 University, Chicago.

13 DR. CHOI: Dr. Lieu?

14 DR. LIEU: Good morning, everybody. I'm
15 Chris Lieu. I'm a GI medical oncologist from the
16 University of Colorado Cancer Center.

17 DR. CHOI: Dr. [Mr. - sic] Mitchell?

18 MR. MITCHELL: I am David Mitchell. I'm not
19 a doctor. I'm the consumer representative to the
20 ODAC. I am the founder of Patients for Affordable
21 Drugs, and I'm a cancer patient.

22 DR. CHOI: Thank you.

1 Dr. Nieva?

2 DR. NIEVA: Hi. I'm George Nieva, Section
3 Head of Solid Tumors, University of Southern
4 California, Norris Comprehensive Cancer Center.

5 DR. CHOI: Thank you.

6 Dr. Rosko?

7 DR. ROSKO: Ashley Rosko, Division of
8 Hematology and medical director of the
9 oncogeriatric program, James Comprehensive Cancer
10 Center, The Ohio State University.

11 DR. CHOI: Dr. Spratt?

12 DR. SPRATT: Daniel Spratt. I'm the
13 chairman of Radiation Oncology at UH Seidman Cancer
14 Center and Case Western Reserve University in
15 Cleveland.

16 DR. CHOI: Dr. Cheng?

17 DR. CHENG: Good morning. I'm Jon Cheng.
18 I'm the industry representative, a medical
19 oncologist by background, and I'm with
20 Bristol-Myers Squibb.

21 DR. CHOI: Thank you.

22 Dr. Chen?

1 DR. CHEN: Dr. Andy Chen, Knight Cancer
2 Institute, Oregon Health & Science University.

3 DR. CHOI: Dr. Thanarajasingam?

4 DR. THANARAJASINGAM: Hi. I'm Gita
5 Thanarajasingam. I'm a lymphoma hematologist at
6 the Mayo Lymphoma Group at Mayo Clinic in
7 Rochester, Minnesota, and a health outcomes
8 researcher focused on cancer treatment, toxicity,
9 and tolerability.

10 DR. CHOI: Dr. Vinks?

11 DR. VINKS: Good morning. I'm Alexander
12 Vinks. I'm a clinical pharmacologist and professor
13 emeritus at the University of Cincinnati and
14 Cincinnati Children's Hospital Medical Center. I'm
15 also a partner with NDA Partners.

16 DR. CHOI: Mr. Zavadowski?

17 MR. ZAVADOWSKI: Hello. Good morning. My
18 name is Rich Zavadowski, the patient
19 representative, and I am a 15-year survivor of
20 stage 4 peripheral T-cell lymphoma NOS. I was
21 treated and cured at the clinical research program
22 at the National Institute of Health NCI in 2008

1 when I was 65 years of age. I was treated with
2 EPOCH with [indiscernible], and I had two other
3 non-blood cancers plus a meningioma. I'm an
4 ambassador for the Lymphoma Research Foundation and
5 a patient advocate of the Leukemia and Lymphoma
6 Society.

7 DR. CHOI: Thank you.

8 Dr. Pazdur?

9 DR. PAZDUR: Richard Pazdur. I'm the
10 director of the Oncology Center of Excellence here
11 at the FDA.

12 DR. CHOI: Dr. Theoret?

13 DR. THEORET: Yes. Hi. My name is Marc
14 Theoret, and I'm a hematologist/oncologist, a
15 deputy director of the Oncology Center of
16 Excellence, as well as an acting supervisory
17 associate director of the Office of Oncologic
18 Diseases.

19 DR. CHOI: Thank you.

20 Dr. Kluetz?

21 DR. KLUETZ: Good morning. I'm Paul Kluetz.
22 I'm a medical oncologist, deputy director of the

1 Oncology Center of Excellence, and acting
2 supervisory associate director for Cell Tumor
3 Oncology in the Office of Oncologic Diseases in
4 CDER.

5 DR. CHOI: Dr. Gormley?

6 DR. GORMLEY: Hi. I'm Dr. Nicole Gormley.
7 I'm the director of the Division of Hematologic
8 Malignancies II and also the acting associate
9 director for Endpoint Development within the
10 Oncology Center of Excellence.

11 DR. CHOI: Dr. Richardson?

12 DR. RICHARDSON: Hi. I'm Nicholas
13 Richardson. I'm the deputy division director for
14 the Division of Hematologic Malignancies II. We
15 oversee the development of products for patients
16 with lymphoma, CLL, and multiple myeloma.

17 DR. CHOI: Dr. Kasamon?

18 DR. KASAMON: Hi. I'm Yvette Kasamon. I'm
19 the clinical team leader in FDA's Division of
20 Hematologic Malignancies II.

21 DR. CHOI: Thank you.

22 Dr. Mehta?

1 DR. MEHTA: Hi. I'm Gautam Mehta. I'm a
2 clinical team leader in the Division of Oncology II
3 and the project lead for the Oncology Center of
4 Excellence's Project Confirm.

5 DR. CHOI: Thank you.

6 DR. CHEN: For topics such as those being
7 discussed at this meeting, there are often a
8 variety of opinions, some of which are quite
9 strongly held. Our goal is that this meeting will
10 be a fair and open forum for discussion of these
11 issues, and that individuals can express their
12 views without interruption. Thus, as a gentle
13 reminder, individuals will be allowed to speak into
14 the record only if recognized by the chair. We
15 look forward to a productive meeting.

16 In the spirit of the Federal Advisory
17 Committee Act and the Government in the Sunshine
18 Act, we ask that the advisory committee members
19 take care that their conversations about the topic
20 at hand take place in the open forum of the
21 meeting.

22 We are aware that members of the media are

1 anxious to speak with the FDA about these
2 proceedings; however, FDA will refrain from
3 discussing the details of this meeting with the
4 media until its conclusion. Also, the committee is
5 reminded to please refrain from discussing the
6 meeting topic during breaks or lunch. Thank you.

7 Dr. Choi will read the Conflict of Interest
8 Statement for the meeting.

9 **Conflict of Interest Statement**

10 DR. CHOI: The Food and Drug Administration,
11 FDA, is convening today's meeting of the Oncologic
12 Drugs Advisory Committee under the authority of the
13 Federal Advisory Committee Act of 1972. With the
14 exception of the industry representative, all
15 members and temporary voting members of the
16 committee are special government employees or
17 regular federal employees from other agencies and
18 are subject to federal conflict of interest laws
19 and regulations.

20 The following information on the status of
21 this committee's compliance with federal ethics and
22 conflict of interest laws, covered by but not

1 limited to those found at 18 U.S.C. Section 208, is
2 being provided to participants in today's meeting
3 and to the public.

4 FDA has determined that members and
5 temporary voting members of this committee are in
6 compliance with federal ethics and conflict of
7 interest laws. Under 18 U.S.C. Section 208,
8 Congress has authorized FDA to grant waivers to
9 special government employees and regular federal
10 employees who have potential financial conflicts
11 when it is determined that the agency's need for a
12 special government employee's services outweighs
13 their potential financial conflict of interest, or
14 when the interest of a regular federal employee is
15 not so substantial as to be deemed likely to affect
16 the integrity of the services which the government
17 may expect from the employee.

18 Related to the discussions of today's
19 meeting, members and temporary voting members of
20 this committee have been screened for potential
21 financial conflicts of interests of their own as
22 well as those imputed to them, including those of

1 their spouses or minor children and, for purposes
2 of 18 U.S.C. Section 208, their employers. These
3 interests may include investments; consulting;
4 expert witness testimony; contracts, grants,
5 CRADAs; teaching, speaking, writing; patents and
6 royalties; and primary employment.

7 For today's discussion, the committee will
8 receive updates on the accelerated approval program
9 in oncology and two new drug applications, NDAs,
10 approved under 21 CFR 314.500, subpart H,
11 accelerated approval regulations, that have not met
12 their agreed-upon milestone for completion of
13 confirmatory trials.

14 Confirmatory trials are postmarketing
15 studies to verify and describe the clinical benefit
16 of a drug after it receives accelerated approval.
17 These updates will provide information on the
18 status of all accelerated approvals granted in
19 oncology, including products with delayed
20 confirmatory trials and the status of confirmatory
21 trials for the specific NDAs to be discussed,
22 including any ongoing and planned trials.

1 The two products to be discussed are,
2 1) Folutyn, also known as pralatrexate, NDA 022468,
3 submitted by Acrotech Biopharma, indicated for the
4 treatment of patients with relapsed or refractory
5 peripheral T-cell lymphoma, PTCL; and 2) Beleodaq,
6 also known as belinostat, NDA 206256, submitted by
7 Acrotech Biopharma, indicated for the treatment of
8 patients with relapsed or refractory PTCL.

9 Based on the updates provided, the committee
10 will have a general discussion about delayed
11 confirmatory trials, as well as a focused
12 discussion on next steps with two products,
13 Folutyn, also known as pralatrexate, and Beleodaq,
14 also known as belinostat, approved for PTCL. The
15 overall goal will be the continued optimization of
16 the accelerated approval process with a focus on
17 decreasing the amount of time to verify, or fail to
18 verify, clinical benefit while continuing to
19 provide early availability of promising oncology
20 products. This is a particular matters meeting
21 during which specific matters related to Acrotech
22 Biopharma's NDAs will be discussed.

1 Based on the agenda for today's meeting and
2 all financial interests reported by the committee
3 members and temporary voting members, a conflict of
4 interest waiver has been issued in accordance with
5 18 U.S.C. Section 208(b)(3) to Dr. Gita
6 Thanarajasingam. Dr. Thanarajasingam's waiver
7 involves nine of her employer's research contracts.
8 The contracts are for various studies funded by
9 competing firms or competing entities. Her employer
10 receives between \$0 and \$500,000 for four total
11 studies from Effector, Celgene, Daiichi Sankyo, and
12 Aptose; between \$50,000 and \$100,000 for one study
13 from Shanghai Hai He; between \$100,000 and \$300,000
14 for one study from Seattle Genetics; between \$300,000
15 and \$500,000 for two total studies from Actuate
16 Therapeutics and a competing firm; and between
17 \$500,000 and \$700,000 for one study from the National
18 Institutes of Health's National Cancer Institute.

19 The waiver allows this individual to
20 participate fully in today's deliberations. FDA's
21 reasons for issuing the waiver are described in the
22 waiver document, which is posted on FDA's website

1 on the advisory committee meeting webpage, which
2 can be found at www.fda.gov and by searching on
3 November 16, 2023 ODAC. Copies of the waiver may
4 also be obtained by submitting a written request to
5 the agency's Freedom of Information Division at
6 5630 Fishers Lane, Room 1035, Rockville, Maryland,
7 20857, or requests may be sent via fax to
8 301-827-9267.

9 To ensure transparency, we encourage all
10 standing committee members and temporary voting
11 members to disclose any public statements that they
12 have made concerning the products at issue. With
13 respect to FDA's invited industry representative,
14 we would like to disclose that Dr. Jonathan Cheng
15 is participating in this meeting as a non-voting
16 industry representative, acting on behalf of
17 regulated industry. Dr. Cheng's role at this
18 meeting is to represent industry in general and not
19 any particular company. Dr. Cheng is employed by
20 Bristol-Myers Squibb.

21 We would like to remind members and
22 temporary voting members that if the discussions

1 involve any other products or firms not already on
2 the agenda for which an FDA participant has a
3 personal or imputed financial interest, the
4 participants need to exclude themselves from such
5 involvement, and their exclusion will be noted for
6 the record. FDA encourages all other participants
7 to advise the committees of any financial
8 relationships that they may have with the firm at
9 issue. Thank you.

10 DR. CHEN: We will now proceed with FDA
11 opening remarks from Dr. Gautam Mehta.

12 **FDA Opening Remarks - Gautam Mehta**

13 DR. MEHTA: Thank you, Dr. Chen.

14 Good morning. My name is Gautam Mehta. I'm
15 a tumor neurosurgeon and acting team lead in the
16 Office of Oncologic Diseases at FDA, and the
17 project lead for the Oncology Center of
18 Excellence's Project Confirm, whose charge is to
19 increase the transparency of the accelerated
20 approval program for oncology indications. To
21 date, FDA's accelerated approval has been used most
22 commonly in oncology, and today I'll be providing

1 background on the program and will focus on the
2 timely completion of confirmatory trials after
3 accelerated approval, which is the overarching
4 topic of today's advisory committee meeting.

5 The accelerated approval program is dynamic
6 and has adapted to a rapidly changing cancer
7 treatment landscape over the past three decades.
8 As we continue to learn from our experience and
9 through discussions such as today's, we hope to
10 continue to leverage the benefits of this program
11 for patients with cancer, while minimizing its
12 risks.

13 In covering this topic, I will discuss some
14 of the risks and implications of delayed
15 confirmatory trials after accelerated approval.
16 I'll cover some of the causes of delays, and
17 finally, I'll go over some strategies to minimize
18 the risk. To give this topic further context and
19 immediate relevance, you'll also be hearing later
20 today from both FDA and the sponsor about two
21 products which have been granted accelerated
22 approval and have delayed confirmatory trials,

1 pralatrexate and belinostat, both indicated for
2 peripheral T-cell lymphoma.

3 In this first presentation, we'll establish
4 two key points. First, that accelerated approval
5 provides earlier access to life-saving drugs for
6 patients with cancer but is associated with an
7 inherent period of vulnerability after the
8 accelerated approval is granted and before clinical
9 benefit is verified by confirmatory trials. And
10 what I mean by this period of vulnerability is that
11 this is a period of time where there's a
12 possibility that a drug that may not confer
13 clinical benefit is on the market, and the second
14 key point is that reducing this period of
15 vulnerability is best done prospectively through a
16 comprehensive development strategy with rational
17 data-driven timelines, and we'll discuss some
18 strategies to approach this today.

19 To start, we need to first review the
20 accelerated approval program. This program was
21 developed in 1992 as a response to the HIV and AIDS
22 crisis, and this approval pathway provides earlier

1 access to drugs by specifically relying on either
2 surrogate or early clinical endpoints that are
3 considered reasonably likely to predict clinical
4 benefit. In oncology, for example, we've
5 frequently relied on the early clinical endpoint of
6 overall response rate to support accelerated
7 approval. Unlike traditional approval, which
8 purely balances benefit against risk, with
9 accelerated approval, this effect on the early
10 clinical endpoint is balanced against some
11 uncertainty that the drug may not provide clinical
12 benefit.

13 The way we eventually prove or verify
14 clinical benefit after an accelerated approval is
15 through confirmatory trials. These confirmatory
16 trials are typically underway at the time of
17 accelerated approval and rely on endpoints that are
18 direct measures of clinical benefit such as overall
19 survival. Based on the results of these trials,
20 the indication is either granted a traditional
21 approval or may be potentially withdrawn.

22 Because it's important for context for

1 today's discussion, I'll briefly review in what
2 situations FDA will actually withdraw an
3 accelerated approval. First, if the confirmatory
4 trial fails to verify clinical benefit, the
5 accelerated approval may be withdrawn. Next, if
6 other evidence demonstrates that the product is
7 unsafe or ineffective, the drug may be withdrawn

8 It is important to understand that after a
9 confirmatory trial does not meet its primary
10 endpoint, this regulatory decision to withdraw an
11 accelerate approval is not automatic. This
12 decision is affected by a variety of factors,
13 including, of course, the results of the
14 confirmatory trial.

15 For example, if the results of the trial are
16 equivocal, this does not necessarily mean that the
17 drug is ineffective or unsafe. In this situation,
18 we'll reassess the benefit-risk at the time this
19 confirmatory trial has read out, and this takes
20 into account any changes in the treatment landscape
21 or any new available therapies. We also consider
22 if there are any other potential safety advantages

1 over other available therapies.

2 Again, given the overarching goals of this
3 approval pathway, the key consideration in whether
4 withdrawal is warranted is still this balance of
5 early access to these drugs for patients with
6 cancer against uncertainty that clinical benefit
7 may in fact not be verified.

8 To facilitate timely completion of these
9 confirmatory trials at the time of accelerated
10 approval, timelines for both a confirmatory trial
11 completion, as well as the final report submission
12 which contains these trial results and the study
13 data, are agreed upon by both the applicant and
14 FDA. In practice, this means that specific
15 milestone dates for the confirmatory trial
16 completion and submission of results to FDA are
17 included in the accelerated approval granted
18 letter.

19 FDA has the authority to ensure that these
20 confirmatory trials are completed in a timely
21 manner. In fact, accelerated approval legislation
22 allows that such an approval may be withdrawn if

1 these post-approval studies or confirmatory trials
2 are not completed with due diligence. In oncology,
3 we interpret this to mean that the confirmatory
4 trial should be completed in the shortest time
5 period that is reasonable, taking into account the
6 disease and any unmet medical need. Again,
7 withdrawal here is not an automatic decision and is
8 considered in the context of current available
9 therapies to balance patient access against
10 continued uncertainty, and you'll see an example of
11 this patient-centric approach later today with the
12 PTCL products up for discussion, where FDA is not
13 asking the committee to decide if these products
14 should be removed from the market.

15 The oncology experience is critical to
16 understanding these delays after accelerated
17 approval. To date, the majority, or 60 percent, of
18 accelerated approvals have been granted in
19 oncology, which we can see here on the graph in
20 blue compared to non-oncology accelerated approvals
21 in orange. This proportion has only been
22 increasing in recent years with oncology

1 indications accounting for a greater and greater
2 percentage of FDA's accelerated approvals over
3 time.

4 So far, 187 accelerated approvals have been
5 granted in oncology for unique drug indication
6 pairings, and of these, 65 are still ongoing, and
7 we are awaiting the readout of confirmatory trials
8 or are currently reviewing these trial results
9 in-house. For 96, the confirmatory trials have
10 already read out favorably and have verified
11 clinical benefit. In these cases, traditional
12 approval has been granted a median of 3.1 years
13 after the accelerated approval, so this suggests
14 that these drugs are being made available to
15 patients with cancer several years earlier than had
16 they been developed through traditional pathways
17 alone.

18 Finally, for 26, the confirmatory trial was
19 either not completed or did not verify clinical
20 benefit, and the indication was withdrawn. The
21 majority of these have been labeling changes to
22 remove the indication; however, in only 9 cases was

1 the drug removed completely from the market.
2 Overall, this withdrawal of an indication has
3 occurred a median of 4.1 years after accelerated
4 approval, and it's really this lag time that we
5 plan to explore today since this represents the
6 amount of time when a drug that likely does not
7 confer a clinical benefit remains on the market.

8 So this leads us to our first major topic,
9 this risk of delayed confirmatory trials. As we've
10 previously described, this period from the time of
11 accelerated approval to the time the confirmatory
12 trial is completed and a subsequent action is taken
13 by FDA, which is highlighted with the red arrow
14 here, is a period of vulnerability during which
15 there's potential for patients to be exposed to a
16 drug product that may not confer a clinical
17 benefit.

18 This suggests that the risk of accelerated
19 approval is not simply the risk that clinical
20 benefit is not verified and drug is withdrawn;
21 rather, the risk of accelerated approval is a
22 function of this risk that the benefit is not

1 eventually verified, multiplied by the time that
2 the drug stays on the market and is made available
3 to patients, and we can reduce this overall risk by
4 reducing this time to verification of benefit.

5 In general in oncology, this time to
6 verification of benefit and subsequent action by
7 FDA, meaning either conversion to traditional
8 approval or withdrawal, has improved over the
9 decades, and we need to be careful about how we
10 analyze trends in this time to verification or
11 refutation of benefit because more recent
12 accelerated approvals are limited in the amount of
13 time they may be delayed.

14 To account for this and capture the effect
15 of ongoing accelerated approvals, we used
16 Kaplan-Meier analyses to quantify the time to
17 traditional approval or withdrawal for accelerated
18 approval if granted in the 1990s, the
19 2020s [2000s - sic], the 2010s, and the 2020s. As
20 you can see, the the median times for traditional
21 approval or withdrawal for an accelerated approval
22 granted in the 1990s was 5.6 years. This median

1 has improved to 4.7 years in the 2000s and
2 3.7 years in the 20-teens. The median has not yet
3 been reached for accelerated approvals granted in
4 the 2020s since many of these approvals are still
5 ongoing.

6 Finally, when we look at the current state
7 of ongoing oncology accelerated approvals, again,
8 these are approvals where we are still awaiting the
9 results of the confirmatory trial. Eighty-five
10 percent of them have been granted within the past
11 five years; so, in general, most of these are quite
12 recent and not overly delayed. There are some
13 significant outliers, however, and later today
14 you'll hear about the two oldest ongoing oncology
15 accelerated approvals, pralatrexate and belinostat,
16 seen in red in the graph on the right, which have
17 been ongoing for over 14 and 9 years, respectively.

18 Now that we've discussed the risks of
19 delayed confirmatory trials, we can focus our
20 attention on some of the causes of these delays.
21 Whether the confirmatory trial is underway at the
22 time of accelerated approval appears to have a

1 considerable effect on the time to verification of
2 benefit. We reported on this last year, in the New
3 England Journal, that this time to subsequent
4 action -- meaning, again, either traditional
5 approval was granted or the indication was
6 withdrawn -- is reduced if the confirmatory trial
7 is underway at the time of accelerated approval.
8 Looking at today's numbers, this time to subsequent
9 action has been a median of 3.1 years if the
10 confirmatory trial was underway and a median of
11 7.3 years if the confirmatory trial was not
12 underway.

13 Other factors can also impact the overall
14 feasibility of the confirmatory trial. These
15 include the effect of the accelerated approval
16 itself, since simply having the drug available on
17 the market, particularly if it's being studied in
18 the same line of therapy, may limit enrollment to
19 the confirmatory trial.

20 Additionally, changes in the treatment
21 landscape and specifically the approval of new
22 available therapies for the disease can also affect

1 trial enrollment. And finally, a change in the
2 incidence of the disease could affect enrollment.
3 We saw this with delays in the confirmatory trial
4 for Doxil for Kaposi's sarcoma, which was slow to
5 enroll after the advent and uptake of highly active
6 antiretroviral therapy in the late 1990s.

7 In addition to the previously mentioned
8 causes of delays, you'll hear about additional
9 reasons for confirmatory trial delays later today
10 in the focused discussion regarding the two
11 products for peripheral T-cell lymphoma, and you
12 will hear later today that in addition to the
13 confirmatory trials not being underway at the time
14 of these accelerated approvals, in these cases, the
15 combination dose was not established and is
16 currently being studied in a confirmatory trial.
17 Compounding these issues, there have also been
18 administrative delays.

19 So given these risks and a better
20 understanding of the underlying causes of delayed
21 confirmatory trials, we'll turn our attention to
22 strategies that sponsors can take to help minimize

1 this risk or the time to verification of clinical
2 benefit. Sponsors who are considering accelerated
3 approval should have a comprehensive development
4 plan that not only includes the trials to support
5 the initial accelerated approval but also
6 prespecifies a path to verification of clinical
7 benefit, more specifically, the confirmatory
8 trials.

9 Sponsors may want to consider multiple paths
10 to verification of benefit, particularly if the
11 primary confirmatory trial is in a different line
12 of therapy than the original approval. In planning
13 for an accelerated approval, sponsors should also
14 consider the timing of when they initiate the
15 confirmatory trial and should determine rational
16 timelines for completion of these trials.

17 Ideally, confirmatory trials will be well
18 underway at the time of accelerated approval.
19 Having the trial be fully enrolled or near full
20 enrollment helps to obviate some of the risks we
21 discussed earlier. This includes the effect of the
22 accelerated approval itself on trial enrollment;

1 the effects of changes in the treatment landscape,
2 and specifically new available therapies; and any
3 potential administrative delays related to trial
4 initiation or opening of study sites.

5 The timelines for trial completion should
6 also be realistic and data-driven. This should be
7 based on the projected accrual that's informed by
8 the disease incidence and the disease natural
9 history and should also incorporate the potential
10 effect of the accelerated approval on accrual.
11 Again, the availability of the drug on the market
12 in the U.S., and in some cases globally, has the
13 potential to affect confirmatory trial enrollment.

14 Other regulatory health authorities around
15 the world have built on the model of accelerated
16 approval and have developed analogous expedited
17 approval programs of their own. In some countries
18 or regions, these programs incorporate alternative
19 strategies to mitigate this risk of delayed
20 verification of benefit. This includes the
21 European Union; the United Kingdom; Australia; and
22 Switzerland, where the expedited approval must be

1 renewed.

2 In some of these cases, there's even a
3 maximum time limit on the expedited approval. This
4 time limit is only two years in Switzerland but may
5 be extended in exceptional cases, and in Australia,
6 this time limit is a maximum of six years.

7 Although this strategy is employed elsewhere, this
8 may not be an effective solution in the U.S.
9 because it does not allow flexibility for rare
10 diseases or those with long natural histories.

11 FDA has new regulatory authority to minimize
12 delays, and the impetus for this was largely based
13 on our three decades of experience with accelerated
14 approval and a better understanding of the
15 implications of delayed confirmatory trials. In
16 December of last year, Congress passed the Food and
17 Drug Omnibus Reform Act, or FDORA, which allows FDA
18 to require confirmatory trials be underway prior to
19 approval. It also requires that progress reports
20 on the confirmatory trials be submitted by sponsors
21 twice a year, adding to the transparency of the
22 program and most importantly allowing us to

1 identify and address delays earlier on. Finally,
2 the legislation includes a streamlined withdrawal
3 process, which should further limit the exposure of
4 U.S. patients to drugs that are found to not verify
5 clinical benefit.

6 Finally, the FDA's Oncology Center of
7 Excellence is also addressing delayed confirmatory
8 trials through the establishment of Project
9 Confirm. This is an initiative to increase
10 transparency around the use of accelerated approval
11 in oncology, and thereby increase accountability.
12 Project staff maintain a public searchable database
13 of oncology accelerated approvals that's updated in
14 real time. We also provide public education on the
15 program and support data analyses and opportunities
16 such as today's meeting to improve program
17 outcomes. You can learn more about the project at
18 the website listed below.

19 So as we conclude, it's important to
20 remember that accelerated approval allows patients
21 with cancer early access to potentially life-saving
22 drugs. Classically, we've measured the success of

1 this program as the percentage of drugs that go on
2 to verify clinical benefit. In reality, it's
3 expected that some proportion of accelerated
4 approvals will not have clinical benefit verified,
5 and this is why the program exists.

6 Alternatively, we can consider the measure
7 of success with this program to be how we minimize
8 risk by minimizing delays in confirmatory trials
9 and verification of benefit. We've talked about
10 some of the causes of these delays; however,
11 fortunately, as we gain greater experience with
12 this program, this time to verification of benefit
13 continues to improve.

14 Finally, we can continue to further improve
15 and minimize delays in confirmatory trial
16 completion moving forward. This ideal can be
17 achieved by having sponsors approach accelerated
18 approval with a comprehensive development plan and
19 by having the confirmatory trials be well underway,
20 or at least underway at the time of accelerated
21 approval, and by identifying rational and
22 data-driven timelines for completion of these

1 confirmatory trials. This approach is supported by
2 new regulatory authority granted in the FDORA
3 legislation, and finally by increasing transparency
4 and accountability as we're doing today. Thank
5 you, and we look forward to your questions and
6 discussion later today.

7 **Clarifying Questions**

8 DR. CHEN: Thank you, Dr. Mehta.

9 We will now take clarifying questions for
10 Dr. Mehta. Please use the raise-hand icon to
11 indicate that you have a question and please
12 remember to lower your hand by clicking the
13 raise-hand icon again after you have asked your
14 question. When acknowledged, please remember to
15 state your name for the record before you speak and
16 direct your question to a specific presenter, if
17 you can. If you wish for a specific slide to be
18 displayed, please let us know the slide number, if
19 possible. Finally, it would be helpful to
20 acknowledge the end of your question with a thank
21 you and end of your follow-up question with, "That
22 is all for my questions," so that we can move on to

1 the next panel member.

2 We will begin to take questions now.

3 Dr. Thanarajasingam, please.

4 DR. THANARAJASINGAM: Hi. This is Gita
5 Thanarajasingam from the Mayo Clinic. Thanks for
6 that presentation. I think there's no question
7 about the need for therapies in relapsed refractory
8 PTCL, and I think that for the FDA to defend public
9 safety, there's also no question about the need for
10 timely confirmatory studies to verify benefit and
11 also lack of toxicity that can affect survival
12 outcomes, as we've seen in other situations. But I
13 really think that what's less clear is what
14 constitutes a feasible and appropriate confirmatory
15 trial.

16 While I recognize the barriers to completing
17 the confirmatory study in the same population for
18 which the accelerated approval was obtained, as you
19 very well outlined, at the same time, as a
20 clinician, I struggle with affirming benefit and
21 understanding toxicity in a relapsed/refractory
22 population when we're testing the drug in

1 combination with cytotoxic chemotherapies in front-
2 or first-line treated patients. The biology and
3 the disease outcomes are different, and treatment
4 that fails to augment efficacy of currently
5 front-line standard treatments may still be a
6 really valuable one at relapse, plus the toxicities
7 and tolerability of a single-agent therapy in the
8 relapsed/refractory setting may be less than in
9 combination with front-line chemo, so the math is
10 entirely changed.

11 I recognize the conundrums and the
12 challenges you pointed out, but I think there are
13 strategies to overcome this. I'll ask about those
14 in later questions to the sponsor and the FDA. But
15 for now, Dr. Mehta, do you think the FDA thinks
16 there are opportunities for confirmatory studies in
17 the same population with the accelerated approval,
18 and would a confirmatory study in the original
19 population, that may be smaller in combination with
20 the front-line trial, be something that is
21 reasonable or feasible if a sponsor can do it?
22 Thank you.

1 DR. MEHTA: Thank you. Because this
2 question is perhaps product specific, I'll turn
3 this over to Dr. Yvette Kasamon to respond first;
4 and actually, maybe Dr. Pazdur first.

5 DR. PAZDUR: Let me address that issue
6 because the idea of doing confirmatory studies in a
7 slightly different indication has been present for
8 the past 20-plus years in the FDA's oncology
9 perspective on this, and we've had important
10 discussions publicly about this for many, many
11 years.

12 We believe that letting sponsors do a
13 confirmatory study or having these confirmatory
14 studies in an earlier disease setting actually is a
15 benefit to the disease itself, and more importantly
16 to patients because it moves therapies up much
17 quicker to an earlier line of therapy, where more
18 patients will benefit and also the efficacy will be
19 examined and realized much greater, so to speak.
20 One would also hope that if the studies are
21 effective in an earlier line of disease, the drug
22 would be used in the earlier line of disease and

1 render, really, the refractory indication almost
2 irrelevant because people are using it in a much
3 earlier line of disease, and I think that is an
4 important point.

5 One of the other issues is, obviously, if
6 one is approving a drug and saying that it is safe
7 and effective in a particular indication, it is
8 going to be almost impossible to accrue patients to
9 a trial to demonstrate it's safe and effective, so
10 that would terminate all U.S., basically, accrual
11 to that trial.

12 But here again, I think the overwhelming
13 approach has been let's try to escalate drug
14 development and move the ball forward more rapidly,
15 rather than looking at only the most refractory
16 disease population. And again, most of the
17 accelerated approvals have aimed their registration
18 strategy at very refractory patients because
19 they're looking at single-arm trials.

20 We do have, in fact, Project FrontRunner
21 ongoing, and we're looking for participants from
22 industry really to move the accelerated approval

1 program away from just the most refractory patients
2 to, really, earlier disease settings where the
3 efficacy could be much better recognized, as well
4 as the benefit to the patients, especially if we're
5 talking about therapies that have breakthrough
6 designation. But I'll turn it over to the
7 disease-specific people to comment.

8 DR. KASAMON: Hi. Thank you. This is
9 Yvette Kasamon. Thank you for that question. As
10 Dr. Pazdur mentioned, it's very common in oncology
11 for the confirmatory trial to be conducted in a
12 different disease setting. You've heard that the
13 accelerated approval itself may affect enrollment
14 to a confirmatory trial that's conducted in the
15 same setting or the same population.

16 So, as was just noted, our general approach
17 is to base the accelerated approval on a single-arm
18 trial that's conducted in a more refractory setting
19 and conduct the confirmatory randomized trial, or
20 trials, in an earlier disease setting. This may
21 allow extrapolation from one disease setting to
22 another, while, as Dr. Pazdur mentioned, offering

1 patients with relapsed or refractory disease
2 earlier access to new and potentially promising
3 therapies. This confirmatory trial may evaluate
4 monotherapy or combination therapy.

5 So we always evaluate the applicability of a
6 proposed trial and the results within each disease
7 setting. And as you've heard, there is a degree of
8 uncertainty with accelerated approval endpoints, so
9 we put the confirmatory trial results together in
10 the context of the totality of the data, including
11 the data from the accelerated approval. Thank you.

12 DR. CHEN: Thank you, Dr. Pazdur and
13 Dr. Kasamon.

14 We'll move to Dr. Cheng for his question.

15 DR. CHENG: Good morning. I'm Jon Cheng,
16 industry rep. Thank you to the FDA for raising
17 this important topic. I think we all share the
18 goals of trying to provide early access to
19 life-saving drugs while balanced by minimizing
20 delays, particularly in trials that do not confirm.

21 My question, I think initially to Dr. Mehta,
22 is, can you comment on the confirmatory trials?

1 There are times where a confirmatory trial is not
2 positive, but that doesn't mean that drug does not
3 have benefit and requires a second confirmatory or
4 a subsequent confirmatory trial. So can the FDA
5 comment to not a confirmatory trial that confirms
6 benefit, but a confirmatory program that allows you
7 a number of shots to be able to confirm benefit?
8 Because oftentimes we learn from the confirmatory
9 trials that do not reach clinical or statistical
10 significance to design a subsequent trial, but that
11 will then result in subsequent delays to a
12 potentially asset to be confirmed.

13 So can you comment on a single trial versus
14 multiple trial options, and then subsequent trials,
15 to allow an accelerated approval to then be delayed
16 but still be able to allow it to be confirmed based
17 on the knowledge gained from a potentially negative
18 confirmatory trial?

19 DR. MEHTA: Well, I think you've touched on
20 a lot of important topics that we think about a lot
21 regarding this confirmatory trial. You mentioned
22 the term "confirmatory program." Sponsors can take

1 an approach where there are multiple approaches
2 ongoing concurrently to address verification of
3 benefit. Sometimes if accelerated approval is
4 granted in a later line of therapy, and then
5 verification of benefit is being tested in an
6 earlier line of therapy, it may be possible that
7 against that front-line therapy, the drug granted
8 accelerated approval may not win. So that doesn't
9 necessarily mean that this is a failed drug or that
10 this drug still doesn't work in that later line of
11 therapy or is safe and effective.

12 One approach would be having multiple
13 ongoing trials that address both the earlier lines
14 and the later lines at the same time. But I think
15 you mentioned if the confirmatory trial fails, then
16 how do we look at things. At that time -- and I
17 mentioned this a little bit in my talk -- we,
18 again, reassess the situation. We're looking at
19 the disease landscape and we're looking at the
20 outcomes of that confirmatory trial. If that
21 confirmatory trial shows a clear survival detriment
22 or that this drug is unsafe, then I think maybe the

1 results are very unequivocal. But if the results
2 are equivocal, the confirmatory trial does not
3 reach the primary endpoint but at the same time
4 there is information that suggests that maybe this
5 drug is still safe and effective, there may be a
6 role for studying it in additional confirmatory
7 trials that could be done at that point. In those
8 cases, we have released and reissued the
9 accelerated approval PMRs and allowed companies to
10 pursue another confirmatory trial.

11 I think what's important here is, again,
12 we're reassessing the drug at the time that
13 confirmatory trial reads out. So if there are new
14 available therapies that show benefit in that space
15 and that trial does fail, then the drug may be
16 withdrawn from the market.

17 DR. PAZDUR: One of the points I want to
18 make is a failed trial does not mean a failed drug,
19 and I think that's important for the committee to
20 understand. We saw this quite dramatically at an
21 ODAC presentation when we went over the multiple
22 accelerated approvals for the PD-1 drugs and, here

1 again, we were dealing with a class of drugs that
2 many people considered these drugs very, very, very
3 similar. Some of the trials failed, some of them
4 succeeded, but many of them were very similar, the
5 trials.

6 There are areas of clinical trial
7 methodology that may render the demonstration of
8 clinical benefit difficult, and we saw that because
9 we had positive trials and negative trials for the
10 same class of the disease in the same disease
11 setting, and those could be due, for example, to
12 underpowering of the trial; selection of the wrong
13 population, PD 1 positive versus the ITT
14 population; and hierarchical testing of drugs,
15 whether the trial was an add-on design versus a
16 head-to-head comparison. So that was quite
17 illustrative of the concept that a failed trial
18 does not mean a failed drug.

19 As Gautam pointed out, we do assess at that
20 point, then, what is the current landscape. Is
21 there a need for this accelerated approval?
22 Because here again, if we're reissuing a letter

1 asking for another confirmatory study, this period
2 of vulnerability, so to speak, is going to be now
3 3-, 4-plus years while somebody writes a trial,
4 gets it through the system, so to speak, and then
5 accrues patients to it.

6 DR. CHENG: Thank you.

7 DR. CHEN: Thank you for that discussion.

8 Dr. Advani, your question, please?

9 DR. ADVANI: Thank you. This is Dr. Advani.

10 Dr. [indiscernible], I struggle to
11 understand that PTCL, in general, is probably one
12 of the most heterogeneous entities, not only
13 clinically, but even at a molecular level. Are
14 there other examples in oncology where you have
15 such a big heterogeneous population where one agent
16 is being studied and how long that has taken?

17 The second question is, do you ever consider
18 outcomes based on real-world data for these very,
19 very rare diseases, which when you break it up into
20 different subsets to see, overall, if there's an
21 improvement in survival, which might help explain
22 or help prolong the timelines? Thank you.

1 DR. MEHTA: Maybe I can address the first
2 question, and then I'll turn it over to Dr. Kasamon
3 for the second question. I think we've gone
4 through this experience with different cancer types
5 in the past, and the cancer treatment landscape has
6 evolved quite a bit in the past couple of decades.
7 For example, with lung cancer, non-small cell lung
8 cancer used to be what we now know to be a very
9 heterogeneous population, and drugs were approved
10 in those settings, but we have more information
11 now. We have history with understanding these
12 heterogeneous populations and studying them, but
13 maybe I should hand it over to --

14 DR. PAZDUR: Let me make this comment. I
15 think you're pointing out a problem that's
16 occurring throughout oncology, or not a problem,
17 but a challenge. All of the diseases that we have
18 and we're recognizing are heterogeneous diseases.
19 Gautam pointed out lung cancer, and the same thing
20 could be said about breast cancer, obviously.

21 So what we've done is as these subsets
22 become identified as "distinct diseases," quote, if

1 they are small, then we will be realistic and say
2 you can't do a randomized study here. You can't
3 look at overall survival in a patient population
4 that is quite small. So we have granted
5 accelerated approval on the basis of response
6 rates, and frequently the confirmatory evidence,
7 not necessarily trial, is basically adding more
8 patients in a single-arm trial to gain clarity on
9 the response rate, as well as the safety of the
10 drug.

11 We have to be realistic that in every
12 situation, one cannot do a large randomized trial,
13 and those could be because of the small numbers of
14 patients; very long natural histories of the
15 disease; the fact that there might be equipoise
16 that does not allow randomization, so there are
17 many reasons a randomized study cannot be done. So
18 we have -- especially in lung cancer, I think, as a
19 great example with the number of
20 mutations -- granted accelerated approval on a
21 response rate that is quite persuasive, and high,
22 and of long duration, and then sought confirmatory

1 evidence for that conversion based on enrolling
2 more patients and basing the actual full approval
3 on response rate alone. We just have to be
4 realistic about the situation here.

5 As far as real-world data, that's something
6 that we're always looking at it. It's very
7 difficult, though, to look at a time-to-event
8 endpoint such as overall survival and compare it to
9 a population, a small population, and then try to
10 say that these populations are identical. Here
11 again, real-world data has many areas that we're
12 exploring at the FDA; however, I think we would not
13 at this time take a look at overall survival of an
14 arm on real-world data. It may provide
15 confirmatory evidence based on that topic, but as
16 far as the subsequent conversion, we'd probably
17 prefer to see more response rates, and then look at
18 that real-world data.

19 DR. CHEN: Thank you.

20 We are running a little bit behind schedule,
21 so please focus your questions and discussion, if
22 possible, and I would like to remind everyone to

1 state their name before each time they speak.

2 Dr. Nieva, you're next.

3 DR. NIEVA: Thank you. This is George Nieva
4 from USC. My question is for Dr. Mehta. When we
5 think about the speed at which trials are
6 conducted, often times that seems proportional to
7 the input resources and capitalization that a
8 company has available to spend on the conduct of
9 the trial. This of course then translates to
10 higher drug costs and potentially healthcare
11 disparities. I'm wondering if the FDA has
12 performed any type of economic analyses or impact
13 on healthcare disparities regarding the speed and
14 input resources requested in terms of the conduct
15 of these confirmatory trials. Thank you. That
16 concludes my question.

17 DR. MEHTA: Thank you, Dr. Nieva. This is
18 Gautam Mehta, FDA. Today, we haven't performed any
19 analyses, or economic analyses, on the speed or
20 factors that have led to the speed of getting these
21 confirmatory trials done. It's certainly an
22 important point. I think that also circles back to

1 the point that pursuing a development program for
2 accelerated approval is a commitment not only to
3 achieve that initial accelerated approval or have a
4 study that supports that, but also you'll have
5 studies that will verify a clinical benefit or have
6 adequate resources committed to these confirmatory
7 trials. So it is a little bit of a commitment. I
8 think we haven't looked at small companies versus
9 large or the amount of resources, but it's an
10 important consideration.

11 DR. PAZDUR: The only point I have to make
12 is, really, the whole purpose of the accelerated
13 approval program is patient-centric, and I've made
14 this comment multiple times. It was never meant as
15 an incentive program for the pharmaceutical
16 industry. It's really patient-centric to get a
17 really innovative drug out earlier to a patient
18 population with the commitment that further studies
19 be done and further elucidation of the drug's
20 benefits be brought out.

21 I think that's an important point, that this
22 is not an incentive program for the pharmaceutical

1 company, but came from the AIDS arena -- AIDS era,
2 rather, I should say -- and a need for innovative
3 therapies, and I think that this has been very
4 widely used in oncology drug development.

5 DR. NIEVA: Thank you.

6 DR. CHEN: Thank you.

7 Dr. Choueiri, this will, unfortunately, be
8 the last question for this section, as we have to
9 move on after this.

10 DR. CHOUEIRI: Thank you. Toni Choueiri,
11 Dana-Farber Cancer Institute, Boston. First,
12 congratulations, Dr. Mehta, on an impressive and
13 very clear presentation about the program. My
14 question is, despite the best intention from the
15 sponsor, the FDA, the patient, and everyone, it is
16 very possible that the follow-up studies may not
17 happen for multiple reasons. The most important
18 will be, in my fair opinion, accrual.

19 I suggest to this committee to launch the
20 confirmatory studies around the time of approval
21 and to have a serious follow-up every 6 months
22 perhaps about the accrual. I think the accrual

1 could happen also outside the United States because
2 at this time, if the accrual for whatever reason,
3 things are not on, the patients are not being
4 accrued well, we could look at never having
5 confirmatory studies, and that is not good for
6 patients and that is not good for the field. Thank
7 you.

8 DR. MEHTA: Thank you, Dr. Choueiri. This
9 is Dr. Mehta again. I think you raised important
10 points regarding accrual that are actually
11 addressed, fortunately, in the new FDORA
12 legislation, that grants FDA regulatory authority
13 to now require that studies be underway at the time
14 we grant accelerated approval, so that's one part
15 of it.

16 You also mentioned following up on studies
17 every 6 months. Sponsors are now required to
18 submit to us progress reports on their studies
19 every 6 months, in fact. So I think this will help
20 both the sponsors and us keep better tabs on these
21 confirmatory trials, and we can see if there are
22 issues with accrual and spot them earlier as

1 opposed to 3 or 4 years down the line when we're
2 still wondering why this confirmatory trial hasn't
3 read out. So definitely, we would like to move
4 these issues up earlier so we're not dealing with
5 them with significant delays.

6 I think one additional point I'd like to add
7 is, ideally, we'd like trials to be actually well
8 underway, so largely enrolled at the time
9 accelerated approval is granted, these confirmatory
10 trials that is. That helps really get around some
11 of these issues that I brought up in terms of
12 difficulty with accrual and issues when the
13 accelerated approval has been granted, and now the
14 drug is on the market and it's hard to enroll
15 patients to these confirmatory trials, at least in
16 the U.S. Thank you.

17 DR. PAZDUR: One of the advantages of having
18 the trial underway is not only the timeliness, but
19 also the feasibility, i.e., can the trial be done?
20 I think this is an issue that we have seen
21 throughout the years, as many times sponsors
22 propose a trial as we're writing the approval

1 letter, so to speak, and the trial simply can't be
2 done. It's not feasible if clinicians don't want
3 to do it. There are multiple other issues here
4 that come into play, but the feasibility issue is
5 also one that has to play into consideration of why
6 we want these trials to be underway; can the trial
7 be done?

8 DR. CHOUEIRI: One small follow-up
9 question -- it's very short -- is, what is the
10 threshold for you, Dr. Pazdur or Dr. Mehta, to say
11 that we tried everything possible, we gave the
12 sponsor every chance, and this is not happening for
13 multiple reasons; and that way, we are taking out
14 the FDA approval? Is that an option?

15 DR. PAZDUR: Well, we always take a look at
16 that. We have to examine the landscape at that
17 time. Obviously, these drugs are approved usually
18 on response rates in a disease where there is no
19 effective therapy. These are not placebos. There
20 is biological activity here, so that's different
21 from perhaps other therapeutic areas, so there is
22 some biological activity. Then we would have to

1 take a look at what is the therapeutic landscape at
2 that time. Is it in the public's best interest to
3 have this not dangling accelerated approval but
4 prolonged accelerated approval here? So that is a
5 case-by-case basis that we would do.

6 And remember, these trials should be done
7 with due diligence and, here again, when you're
8 going out years and years and years, people could
9 make a cogent argument that they have not done
10 these trials with due diligence.

11 DR. MEHTA: And maybe one quick follow-up to
12 that is if we do see that a confirmatory trial is
13 stalled or a program is stalled, we do encourage
14 bilateral discussions with FDA so we can try to
15 work around these problems or see if there are
16 other paths forward. So I think it's not
17 necessarily a black and white decision at that
18 point. We still want to have more conversations
19 with the companies and we want to have these
20 conversations before they get to the stalls. But
21 again, we're encouraging this discussion upfront.

22 DR. CHEN: Thank you.

1 We will now proceed with FDA introductory
2 comments from Dr. Richardson.

3 **FDA Introductory Comments - Nicholas Richardson**

4 DR. RICHARDSON: Good morning. I'm Nicholas
5 Richardson, a hematologist/oncologist and the
6 deputy director of the Division of Hematologic
7 Malignancies II, which oversees the development of
8 products for patients with lymphoma and multiple
9 myeloma. To the chair, the committee, the sponsor,
10 and everyone joining us today, we look forward to a
11 productive discussion on two products with
12 accelerated approval for adult patients with
13 peripheral T-cell lymphoma or PTCL.

14 Today, we are seeking the committee's input
15 on the prolonged accelerated approvals of
16 pralatrexate and belinostat and the delayed
17 verification of clinical benefit. As shown here,
18 pralatrexate, a dihydrofolate reductase inhibitor,
19 was granted accelerated approval in September of
20 2009, and belinostat, a histone deacetylase
21 inhibitor, or HDAC inhibitor, was granted
22 accelerated approval in July 2014. Both agents

1 were approved for the same indication and both
2 approvals were based on a single-arm trial with a
3 primary endpoint of response rate supported by
4 durability.

5 Today's ODAC is different in nature, as we
6 are here to discuss two prolonged accelerated
7 approvals with delayed verification of benefit.
8 There will be no voting question for our meeting
9 today; thus, the discussion that we have here today
10 is of utmost importance. We desire to have an
11 inspection of the root causes that have led to the
12 current situation with these two products, which
13 are outliers for accelerated approvals. The
14 discussion items are shown here and focus on the
15 sponsor's current plan to verify clinical benefit
16 and how insights from this experience can be
17 leveraged for these products and other products
18 with accelerated approval.

19 Importantly, we are not here today to
20 discuss if these products should be withdrawn from
21 the market. Because PTCL is a rare disease and
22 patients that are relapsed or refractory have

1 limited treatments available, continued access to
2 these therapies remains crucial.

3 With the discussion topics in mind, I'd like
4 to review the regulatory approval pathways in the
5 U.S. Traditional or regular approval is based on a
6 demonstration of clinical benefit, which is
7 generally a measure of how a patient feels,
8 functions, or survives. This can also be
9 accomplished by demonstrating an effect on an
10 established surrogate.

11 Accelerated approval is intended for
12 products that are designed to treat patients with a
13 serious or life-threatening illness. The product
14 must provide an advantage, taking into account the
15 condition and the available treatments, and the
16 approval is based on an effect on a surrogate
17 endpoint that is reasonably likely to predict
18 clinical benefit or on a clinical endpoint other
19 than survival or irreversible morbidity, what is
20 referred to as an intermediate clinical endpoint.
21 Because of the endpoints used to support
22 accelerated approval, post-approval trials may be

1 required to verify clinical benefit.

2 As noted in Dr. Mehta's presentation,
3 accelerated approval is a convergence of the effect
4 on either an early clinical endpoint or a surrogate
5 endpoint that is reasonably likely to predict
6 clinical benefit and whether the effect is balanced
7 against some uncertainty that the drug may not
8 provide direct clinical benefit. The way we prove
9 or verify that clinical benefit is through
10 confirmatory trials. To limit the uncertainty
11 regarding clinical benefit or the period of
12 vulnerability, confirmatory trials are to be
13 conducted with due diligence.

14 Because a single-arm design and a
15 response-based endpoint were used to support the
16 initial approval of both pralatrexate and
17 belinostat, a postmarketing requirement was issued
18 for each agent to verify the clinical benefit. The
19 current accelerated approval PMR for pralatrexate
20 and belinostat is shown on the slide. The sponsor
21 has chosen to pursue a randomized trial evaluating
22 three arms: pralatrexate in combination with

1 chemotherapy, belinostat in combination with
2 chemotherapy, versus a control arm of chemotherapy
3 alone.

4 Prior to the initiation of this trial, the
5 sponsor was required through postmarketing
6 requirements to identify an optimal and safe dose
7 of each agent in combination with chemotherapy.
8 You will hear more about the dosing considerations
9 and the timeline for these products in the FDA
10 presentation. The important point we are here to
11 discuss today is that we do not have evidence to
12 verify the clinical benefit of pralatrexate and
13 belinostat for patients with PTCL despite initial
14 accelerated approvals 14 years ago and 9 years ago,
15 respectively.

16 In oncology, early endpoints such as
17 objective response rate and progression-free
18 survival have been extensively used to facilitate
19 early access to much needed therapies for patients
20 with cancer; however, recent oncology trials have
21 highlighted a lack of correlation between these
22 early efficacy endpoints and overall survival,

1 reinforcing the need for verification of clinical
2 benefit for products granted accelerated approval
3 based on an early intermediate clinical endpoint.

4 This table highlights a selection of some of
5 the recent trials where a lack of correlation
6 between [indiscernible] endpoints and overall
7 survival has occurred. As seen in the first four
8 rows of this table, in randomized-controlled trials
9 in patients with chronic lymphocytic leukemia,
10 indolent non-Hodgkin lymphoma, and multiple
11 myeloma, we have seen a statistically significant
12 advantage in progression-free survival supported by
13 an improvement in response rate for the
14 investigational arm but the overall survival
15 results showed a potential detriment.

16 Conversely, in several immunotherapy trials
17 like CHECKMATE-057 in patients with advanced,
18 previously treated non-small cell lung cancer, a
19 significant improvement in overall survival was
20 demonstrated with no improvement in
21 progression-free survival. The lack of correlation
22 emphasizes that the relationships between these

1 early endpoints and overall survival have not been
2 formally established, and this relationship may
3 vary based on multiple factors such as the disease
4 setting; the drug or drug class and the associated
5 toxicity profile; the effect size on the endpoint;
6 and available therapies. For randomized trials in
7 patients with PTCL, PFS is commonly used as the
8 primary endpoint. In randomized trials with a
9 primary endpoint of PFS, overall survival remains a
10 critical endpoint and is always evaluated by FDA
11 and included in the evaluation of benefit and risk.

12 For today's discussion, it is really
13 important to consider the disease context,
14 available treatments, and the need for new
15 therapeutic options for patients with PTCL. This
16 figure shows the FDA approvals over the last
17 14 years in PTCL. From 2009 to 2014, four products
18 were granted accelerated approval, all based on
19 single-arm trials with a response-based endpoint
20 that were supported by durability. For brentuximab
21 vedotin, a CD30-directed antibody drug conjugate,
22 the approval only applies to a subset of patients

1 with PTCL, namely those with CD30 expression; yet,
2 brentuximab vedotin was granted traditional
3 approval in 2018 after successful completion of a
4 randomized trial that verified clinical benefit.

5 Alternatively, for romidepsin, an HDAC
6 inhibitor, a phase 3 confirmatory trial was
7 conducted in patients with previously untreated
8 PTCL, which failed its primary endpoint of PFS and
9 did not verify clinical benefit. The PTCL
10 indication for romidepsin was voluntarily withdrawn
11 by the company in May of 2022. The regulatory
12 experiences with brentuximab vedotin and romidepsin
13 in PTCL are important for today's discussion, and
14 you'll hear more about them later in the FDA
15 presentation.

16 As you can see, there have been a limited
17 number of approvals in patients with PTCL, and
18 there remains a need for effective therapies for
19 these patients. Because of the disease setting and
20 the current PTCL treatment landscape, continued
21 access to these treatment options remains
22 important. Again, the primary aim for today's

1 meeting is on the sponsor's current plan to verify
2 clinical benefit for pralatrexate and belinostat,
3 and how insights from this experience can be
4 leveraged for these products and other products
5 with accelerated approval.

6 As you'll hear today, there are several
7 reasons the sponsor has indicated that has led to
8 the prolonged accelerated approvals of pralatrexate
9 and belinostat and the delayed verification of
10 clinical benefit. These include the transfer of
11 ownership of the products, leading to logistical
12 delays; concerns regarding dosing, toxicity, and
13 tolerability; and the need for further evaluation
14 of a safe and adequate dose for each product in
15 combination with chemotherapy. These have
16 culminated in delayed initiation of the currently
17 proposed trial to verify the clinical benefit for
18 both drugs. With the trial just starting last
19 month, finally, the currently proposed timeline
20 includes an estimated projection of the results
21 being available in 2030.

22 With that context in mind, we are asking the

1 committee to discuss the following topics.
2 Number one, discuss the delays in post-approval
3 confirmatory trials for pralatrexate and belinostat
4 and whether the current plan to verify the clinical
5 benefit of these products in patients with PTCL is
6 reasonable considering the sponsor's proposed
7 timeline. Number two, discuss strategies to
8 promote timely completion of the confirmatory trial
9 for pralatrexate and belinostat, and insights from
10 this experience that may facilitate completion of
11 confirmatory trials for future accelerated
12 approvals.

13 Again, there is no voting question for
14 today's ODAC and the value of today's meeting will
15 come from the discussion, and we thank you for your
16 thoughtful insight and input on these important
17 topics. Thank you. This ends my presentation.

18 DR. CHEN: Thank you, Dr. Richardson.

19 Both the Food and Drug Administration and
20 the public believe in a transparent process for
21 information gathering and decision making. To
22 ensure such transparency at the advisory committee

1 meeting, FDA believes that it is important to
2 understand the context of an individual's
3 presentation.

4 For this reason, FDA encourages all
5 participants, including the applicant's
6 non-employee presenters, to advise the committee of
7 any financial relationships that they may have with
8 the applicant, such as consulting fees, travel
9 expenses, honoraria, and interest in the applicant,
10 including equity interests and those based upon the
11 outcome of the meeting.

12 Likewise, FDA encourages you at the
13 beginning of your presentation to advise the
14 committee if you do not have any such financial
15 relationships. If you choose not to address this
16 issue of financial relationships at the beginning
17 of your presentation, it will not preclude you from
18 speaking.

19 We will now proceed with Acrotech
20 Biopharma's presentation.

21 **Applicant Presentation - Ashish Anvekar**

22 MR. ANVEKAR: Good morning, members of the

1 FDA and the advisory committee. I'm Ashish
2 Anvekar, president of Acrotech Biopharma. Thank
3 you for the opportunity to provide an update on the
4 postmarketing requirement studies, or PMR studies
5 as we will refer to them in the presentation. This
6 update will focus on the confirmatory phase 3 PMR
7 study status, wherein we will share the plan and
8 execution details with regards to this trial.

9 To provide a context, Acrotech acquired
10 these products in March of 2019 and recognize the
11 delayed status of the studies, and we have made
12 progress in completing the studies as per their
13 planned designs. We recognize that as the current
14 NDA owner, we are responsible for completing the
15 PMRs. Completion of the confirmatory PMR is our
16 main goal. I personally thank the patients who
17 have and will participate in these trials, and the
18 time and energy they have contributed is
19 invaluable. Let me begin with some background on
20 the products.

21 Pralatrexate and belinostat both have
22 accelerated approval for the treatment of relapsed

1 refractory peripheral T-cell lymphoma. For
2 simplicity, we will refer to the disease as PTCL
3 throughout the presentation. Pralatrexate is a
4 dihydrofolate reductase inhibitor and belinostat is
5 a histone deacetylase inhibitor. Both drugs are
6 designated by the National Comprehensive Cancer
7 Network, or NCCN, as Category 2A preferred
8 treatment regimens.

9 PTCL is a rare, aggressive, and
10 heterogeneous disease affecting 10,000 to
11 15,000 patients in the U.S. These patients have
12 limited treatment options available in the
13 first-line and relapsed/refractory setting.
14 Throughout the disease course, most patients will
15 relapse and require additional lines of therapy, so
16 there is a need for products with different
17 mechanisms of action that can be used across
18 multiple lines of treatment.

19 With that background, let me provide a
20 status update on the main confirmatory PMR trial.
21 The main confirmatory PMR still needs to be
22 completed, and we acknowledge the need to complete

1 our accelerated approval obligation in a timely
2 manner. The confirmatory trial has gone through
3 design changes since the product approved. When
4 pralatrexate was approved in 2009, two confirmatory
5 PMR studies were agreed upon. Upon belinostat
6 approval in 2014, these PMRs were released and were
7 replaced with an alternate trial design, a single
8 phase 3 study in the first-line PTCL with three
9 arms, pralatrexate plus CHOP, belinostat plus CHOP,
10 compared with CHOP alone.

11 A dose-finding study had to be completed
12 first to support the dose for each drug to be used
13 in the confirmatory phase 3 trial. These
14 dose-finding studies were initiated in August of
15 2014. In October 2016, the belinostat plus CHOP
16 study was completed; however, the pralatrexate plus
17 CHOP study was still recruiting patients. When
18 Acrotech acquired the products in March 2019, we
19 focused on completing the enrollment. After
20 enrollment and the required follow-up of one year
21 as per protocol, we submitted the CSR by
22 October 2021 for pralatrexate plus CHOP study. We

1 shortly thereafter submitted the final phase 3
2 protocol for approval in March of 2022 so that we
3 could initiate the confirmatory part of the study.

4 An amendment to the trial design was
5 requested to identify the optimal dose for each
6 product in line with Project Optimus guidance. As
7 a result, we modified the protocol to make it a
8 two-part study. Part 1 would evaluate 2 dose
9 levels each of the products prior to beginning the
10 confirmatory portion of the study in Part 2. Along
11 with a few other protocol changes, we reached
12 alignment with the FDA on the protocol in January
13 of 2023 and initiated the start-up activities for
14 Part 1. We are happy to report that the first site
15 for the phase 3 study was activated in October
16 2023.

17 There were other smaller PMR studies which
18 were also required, and briefly the status is as
19 follows. For pralatrexate, four out of these five
20 PMR studies have been completed. The single
21 remaining pharmacokinetic studies are targeted to
22 be completed by December 2024 and a CSR submission

1 5 months thereafter. For belinostat, these seven
2 PMR studies have been completed. The highlighted
3 studies are the ones completed or underway by
4 Acrotech after acquiring the product in 2019.

5 Turning now to the remainder of the agenda,
6 first, Dr. Owen O'Connor will present background on
7 the disease and the role of pralatrexate and
8 belinostat, including the studies that supported
9 their accelerated approvals and real-world
10 evidence; then, Dr. Swaminathan Iyer will present
11 the results from the phase 1 PMR study and the
12 design of our phase 3 study. I will then come back
13 to present more details of the execution of the
14 confirmatory PMR trial. All outside experts have
15 been compensated for their time and travel to
16 today's meeting. Thank you. I'll now turn the
17 lectern over to Dr. O'Connor.

18 **Applicant Presentation - Owen O'Connor**

19 DR. O'CONNOR: Thank you, Ashish, and good
20 morning, everyone. My name is Owen O'Connor, and I
21 am an American Cancer Society Research professor
22 and director of the Translational Orphan Blood

1 Cancer Research Center at the University of
2 Virginia Comprehensive Cancer Center. I've been
3 involved in developing drugs and taking care of
4 patients with peripheral T-cell lymphoma now for
5 almost 25 years. Over that time, I've contributed
6 to the development of essentially every drug
7 approved for the disease and was a co-inventor of
8 pralatrexate, along with Francis Sirotiak, when I
9 was on faculty at Memorial Sloan Kettering Cancer
10 Center.

11 I'd like to say we've made enormous progress
12 over that time, but as I will discuss, progress has
13 been slow for a host of reasons, and the field
14 still struggles with how best to help patients with
15 this challenging disease. It's been over a decade
16 since I made the case for the accelerated approval
17 of pralatrexate before your predecessors on this
18 committee. I'm here today to discuss the continued
19 medical need I see in patients with PTCL and the
20 challenges that come with improving patient
21 outcomes given the rarity and biological
22 heterogeneity of the disease.

1 First, let me share some background on the
2 disease. So why has progress been particularly
3 slow in PTCL? First, they are rare. According to
4 the latest SEER data from 2011, the peripheral
5 T-cell lymphomas have an incidence of about 10,000
6 to 15,000 cases per year in the United States, of
7 which even the most common subtype might have an
8 incidence of only 2,000 to 3,000 cases per year.
9 There are about 15,000 medical oncologists in the
10 United States, so this means each oncologist could
11 expect to see a case of PTCL about once a year, if
12 spread out evenly.

13 Second, the PTCL are remarkably
14 heterogeneous. The latest 2022 WHO classification
15 now recognizes 36 distinct subtypes. Unlike B-cell
16 lymphoma, which is comprised of many indolent
17 subtypes, most forms of PTCL are considered highly
18 aggressive diseases. In essence, the PTCL are
19 36 orphan diseases lumped under an orphan disease.
20 These features all conspire to make the conduct of
21 clinical trials, let alone randomized clinical
22 trials, exceedingly difficult.

1 Importantly, front-line conventional
2 chemotherapy programs are not highly effective and
3 there is no unified standard of care. CHOP-based
4 chemotherapy is often regarded as the standard of
5 care. CHOP was developed in patients with
6 aggressive B-cell malignancies, a radically
7 different disease. In fact, the pivotal study
8 published in the New England Journal of Medicine in
9 1994, which led to CHOP being designated the
10 standard of care, does not even mention PTCL, nor
11 is there any evidence that a single patient with
12 PTCL was ever treated on that study.

13 CHOP is commonly designated the standard of
14 care because it's the most commonly deployed
15 chemotherapy regimen used by the preponderance of
16 physicians who treat the disease. This doesn't
17 make it the optimal treatment available.

18 Furthermore, CHOEP, which integrates etoposide into
19 the CHOP backbone [indiscernible], represents
20 another regimen widely regarded as a standard of
21 care.

22 CHOEP obtained this status based on a

1 retrospective study, where they compared and
2 contrasted dozens of different chemotherapy
3 regimens, some containing etoposide, some not,
4 seeking to determine whether the simple addition of
5 etoposide across a remarkably heterogeneous group
6 of chemotherapy regimens made a difference.
7 Despite the lack of any statistically significant
8 difference in the retrospective analysis, CHOEP
9 earned the status and as an alternative standard of
10 care despite a significant increase in toxicity.

11 The poor outcomes seen with conventional
12 chemotherapy and the lack of any consensus on a
13 standard of care have led the NCCN to recommend a
14 clinical trial as the preferred treatment of
15 patients in the front line and beyond. Other than
16 the 10 percent of patients with PTCL who have
17 systemic anaplastic large cell lymphoma, who
18 benefit from BV-CHP, there has been no therapy
19 developed which has improved outcomes for all the
20 other subtypes of the disease.

21 The remaining 30-plus subtypes, accounting
22 for about 90 percent of all cases, are typically

1 lumped and treated with standard CHOP- or
2 CHOEP-based chemotherapy. This rarity,
3 heterogeneity, and aggressiveness of the PTCL have
4 made the development of standard of care
5 exceedingly difficult, so to then come as no
6 surprise, the field has produced little to no
7 evidence that we've changed the natural history of
8 PTCL in over 30 years.

9 As I'll discuss in detail shortly, there are
10 several lines of data that would suggest that newer
11 drugs, pralatrexate and the HDAC inhibitors, for
12 example, are producing clinical benefit for this
13 population, benefit that consistently seems better
14 than what we have come to expect with traditional
15 chemotherapy.

16 While we have made remarkable progress in
17 the treatment of B-cell malignancies, the data from
18 this graph depicts the progress for diffuse large
19 B-cell lymphoma. As shown in the top blue line,
20 the addition of rituximab to CHOP has improved
21 overall survival for patients with DLBCL compared
22 to CHOP alone, as shown in the red line. The black

1 and orange curves show how poorly patients with
2 PTCL and the related NK-cell lymphomas do in
3 comparison. These data, in essence, underscore the
4 relative ineffectiveness of CHOP in PTCL.

5 Across a variety of studies, irrespective of
6 subtype, the PTCL are now recognized as having the
7 worst outcome of any blood cancer. In general, the
8 median overall survival is less than 2 years and
9 5-year overall survival, less than 30 percent. In
10 a large retrospective international study, the
11 International T-Cell Project, approximately
12 two-thirds of patients suffered a relapse or had
13 refractory disease in the first year. These
14 patients would go on to receive additional therapy,
15 assuming they are even candidates.

16 In the right-hand panel, you can see the
17 overall survival as a function of the common
18 subtypes. As you can see, the blue line represents
19 the latest data for systemic anaplastic large-cell
20 lymphoma as reported in the ECHELON-2 study, while
21 the red line shows the overall survival for ALCL
22 seen with CHOP prior to the introduction of

1 brentuximab. The remaining subtypes have not seen
2 any improvement in their outcome over the past
3 several decades. Finally, while patients deemed
4 transplant eligible will more often than not get an
5 autologous stem-cell transplant, it's entirely
6 unclear if this is a beneficial approach, though
7 some retrospective studies suggest there may be a
8 modest clinical benefit.

9 The limits of traditional chemotherapy for
10 relapsed or refractory PTCL are underscored in the
11 next slide. In this interesting study by Mak and
12 colleagues from the British Columbia Cancer Agency,
13 the authors examined the prognosis of patients from
14 the point of their first relapse; that is, how do
15 patients do with the available therapies in the
16 relapsed setting? As this study was reported in
17 2013 in Canada, prior to the widespread
18 introduction of the drugs under discussion today,
19 it reveals the outcomes seen with traditional
20 chemotherapy.

21 The second progression-free survival, that
22 is the PFS resulting from the first treatment given

1 in the relapsed or refractory setting, was only
2 3 to 4 months with a second overall survival of
3 only 6 to 7 months. These data largely reflect the
4 ineffectiveness of traditional chemotherapy in the
5 relapsed or refractory state, a finding that is
6 remarkably concordant with the findings of the
7 International T-Cell Lymphoma Project and a large
8 case-match control study published by my team. For
9 sure, patients aren't doing better with each
10 subsequent line of therapy, so in theory, this is
11 as good as any relapsed or refractory patient can
12 do.

13 For me, what these data tell us is that
14 subsequent lines of treatment, treatments that are
15 essentially predicated on traditional chemotherapy
16 in the relapsed or refractory setting, are not
17 providing any meaningful benefit. Since the bulk
18 of these data were collected prior to the
19 significant use of the single agents approved for
20 the disease in the U.S., in my opinion, it largely
21 represents the limitations of our conventional
22 chemotherapy approach.

1 So let's turn our attention to some general
2 concepts around the treatment of patients in the
3 front line. Regrettably, the front-line treatment
4 of PTCL has remained largely unchanged for nearly
5 three decades, save the ECHELON-2 experience. One
6 important consideration here is that in the case of
7 aggressive B-cell malignancies where rituximab
8 markedly improved the outcome of virtually every
9 patient when combined with CHOP, we have not
10 discovered any R equivalent in PTCL despite the
11 early excitement around brentuximab vedotin.

12 The notion of identifying an agent to
13 improve upon the outcome of CHOP in PTCL is
14 predicated, to some extent, on the improvement seen
15 with R-CHOP in the B-cell malignancies. There has
16 been no biological agent shown to be effective
17 across the diversity of PTCL subtypes. Leveraging
18 drugs with relative lineage selective activity in
19 combination with CHOP has been and remains a
20 reasonable ambition. With the exceptions of
21 systemic ALCL, NCCN recommends a clinical trial as
22 the preferred treatment.

1 In the relapsed and refractory setting,
2 since 2009, the drugs under discussion today have
3 become integral to the management of relapsed or
4 refractory PTCL. While none of these drugs are
5 perfect, they do give physicians and patients
6 options. Pralatrexate was the first drug approved
7 for this setting, followed by the histone
8 deacetylase inhibitors romidepsin and belinostat.
9 The PTCL, for unclear reasons, seemed to
10 demonstrate a unique sensitivity to
11 epigenetic-based treatments.

12 All three of the drugs shown in this table
13 were approved based on single-arm, phase 2,
14 monotherapy studies. The efficacy data for
15 romidepsin are similar to the other drugs. In
16 2021, the romidepsin indication for relapsed or
17 refractory PTCL was withdrawn by the sponsor after
18 a phase 3 of romi-CHOP versus CHOP failed to
19 demonstrate any benefit over standard of care in
20 the front-line treatment of patients with the
21 disease.

22 As I mentioned earlier, brentuximab vedotin

1 is only approved for patients with relapsed or
2 refractory ALCL and does not have any significant
3 value in treating the non-ALCL subtypes. It's also
4 worth noting that allogeneic stem-cell transplants
5 can be curative in this setting, though the major
6 challenge is getting disease control to last long
7 enough to allow patients to move on to the
8 transplant. Also, unlike many other forms of
9 lymphoma, no CAR-T has been demonstrated to be
10 clinically useful in PTCL, though many are under
11 development.

12 So here you can see that in contrast to the
13 B-cell malignancies, the PTCL really lack reliable
14 treatment options and have no demonstrable benefits
15 from any immunotherapy, be it monoclonal antibody,
16 antibody drug conjugate, or cell therapy, as we
17 have experienced for the B-cell malignancies. It's
18 important to recognize that pralatrexate and
19 belinostat are distinctly different from the
20 chemotherapies traditionally used to treat the
21 disease. Each drug offers a different mechanism of
22 action.

1 Quite interestingly for me, these drugs
2 exhibit a remarkable T-cell selectivity and have
3 not been shown to have meaningful activity in any
4 other type of cancer. Pralatrexate is a folate
5 antagonist that has very high affinity for the
6 reduced folate carrier, which efficiently
7 internalizes the drug. Pralatrexate exhibits a
8 host of unique effects on T-cell lymphomas. The
9 IC50 in T-cell models is at least a log-fold more
10 potent than any other antifolate, and T-cell
11 lymphomas are at least a log-fold more sensitive
12 than any other cancer cell line, including B-cell
13 lymphomas.

14 In contrast, belinostat is a potent HDAC
15 inhibitor. For reasons not entirely clear, this
16 group of diseases is well established to be
17 sensitive to epigenetically targeted drugs. The
18 HDAC inhibitors have the effect of shifting
19 chromatin from a transcriptionally silent state to
20 one that is transcriptionally active. This
21 transcriptionally active confirmation is thought to
22 mediate the many mechanisms ultimately leading to

1 cell death, and importantly, the mechanism of
2 action of these drugs do not appear to be
3 cross-resistant.

4 Next, I'd like to briefly share the
5 preliminary data supporting the accelerated
6 approval of these two drugs. The primary efficacy
7 data supporting the accelerated approval for
8 pralatrexate and belinostat come from the PROpel
9 and BELIEF studies, respectively. The overall
10 response rate, based on independent central review,
11 was 29 percent for PROpel and 26 percent for the
12 BELIEF study. The investigator-assessed response
13 was 39 percent for PROpel and about 23 percent for
14 BELIEF. The median duration of response was
15 10.1 months for pralatrexate and 13.6 months for
16 belinostat. These durations of response in PTCL
17 are substantially better than what we typically see
18 with any chemotherapy in this setting.

19 The major question, and one that is hard to
20 answer without randomized data, is are we providing
21 any clinical benefit for patients receiving these
22 drugs? While we don't have the volumes of patients

1 that would allow us to readily answer this
2 question, it's not because the field isn't thinking
3 about it, or trying. In fact, the field has
4 diligently worked with datasets it has, creating
5 multiple large international registries and
6 extracting data from completed and ongoing trials,
7 all in an effort to better understand how these
8 drugs might be helping patients.

9 The first randomized study ever conducted in
10 patients with PTCL, and the first and only recently
11 one conducted in relapsed or refractory disease,
12 was a study called the LuMIERE study. LuMIERE was
13 a randomized, phase 3 I chaired, that compared
14 alisertib to dealers' choice, which consisted of
15 gemcitabine, pralatrexate, and romidepsin. While
16 the study was negative, failing to establish
17 alisertib was better than the dealers' choice arm,
18 based on endpoints of overall response rate and
19 PFS, it produced some interesting findings.

20 In the dealers' choice arm, 51 patients
21 received pralatrexate, where the overall response
22 rate was 43 percent, while 23 received gemcitabine,

1 where the overall response rate was 35 percent,
2 while a smaller number, 18, received romidepsin,
3 where that overall response rate was 61 percent.
4 Progression-free survivals in the pralatrexate and
5 romidepsin cohorts were also substantially better
6 than that seen in the gemcitabine-treated patients.

7 This study failed because the dealers'
8 choice arm outperformed pre-study expectations.
9 Although a subset analysis, this is probably the
10 best data from a perspective randomized study
11 showing pralatrexate and romidepsin were at least
12 equivalent to, if not better than, that observed
13 for a commonly used conventional chemotherapy,
14 namely gemcitabine.

15 In my second example of supporting data, I
16 share with you the findings from three independent
17 single-arm studies of pralatrexate across Asia.
18 Each of these studies led to the full regulatory
19 approval of pralatrexate in Japan, China, and
20 Taiwan. The overall response rate in these studies
21 were reported to be 45, 52, and 57 percent, with a
22 safety profile similar to or better than that

1 reported in PROpel. The duration of response and
2 progression-free survival in these experiences was
3 also a bit better than we reported in the original
4 PROpel study.

5 It is likely these results were better than
6 what we saw in PROpel because these patients were
7 less heavily treated, which I believe is an
8 important determinant in a patient's likelihood of
9 response. Taken together, and with all of the
10 caveats noted earlier about the absence of
11 prospective randomized clinical trials, these data
12 affirm a very consistent message that pralatrexate
13 and HDAC inhibitors are helping patients, and that
14 these data have led to expanded approvals around
15 the world with results that look to be even better
16 than what was reported in the original pivotal
17 study.

18 Finally, the safety analysis from the PROpel
19 and BELIEF studies confirm an acceptable safety
20 profile. This table summarizes the safety profiles
21 from the pivotal studies. All the safety profiles
22 for each drug are a bit different. Both drugs have

1 an acceptable safety profile in a heavily treated
2 population of PTCL patients, and the most
3 frequently reported adverse events for pralatrexate
4 revolve around mucosal inflammation and
5 thrombocytopenia that are consistent with the
6 expected safety profile for the antifolate class.

7 In the pivotal BELIEF study, the most
8 commonly reported grade 3-4 adverse events were
9 hematologic, again, usually thrombocytopenia. You
10 can see that these adverse event profiles compare
11 favorably with that shown for brentuximab. In
12 post-approval use, one case of toxic epidermal
13 necrolysis was identified for patients who received
14 pralatrexate, while no adverse reactions have been
15 identified for belinostat.

16 In conclusion, pralatrexate and belinostat
17 are now the only FDA-approved drugs for patients
18 with relapsed or refractory PTCL. In the
19 relapsed/refractory settings, brentuximab is
20 essentially resigned to systemic ALCL, as it has
21 been shown to be relatively ineffective in non-ALCL
22 subtypes of PTCL.

1 There is no standard of care, nor consensus,
2 for the treatment of PTCL patients in the front
3 line or beyond. The ability to administer
4 pralatrexate and belinostat safely for extended
5 periods of time can provide meaningful disease
6 control and likely contributes to the prolonged
7 duration of response observed. The situation, in
8 fact, is so dire that even NCCN recommends clinical
9 trials as the preferred treatment in both the
10 front-line and relapsed setting.

11 Many independent studies, like those from
12 Asia, LuMIERE, and the case-match studies I alluded
13 to earlier, are uniformly consistent in their
14 findings and support the notion we need to deploy
15 these active drugs earlier in the line of therapy
16 and explore novel combinations, which are producing
17 meaningful advances in the field, as our own work
18 has shown. The failure to change the natural
19 history of PTCL over the past 30 years mandates
20 that we need to explore all reasonable options.

21 Thank you. I would now like to introduce my
22 colleague, Dr. Iyer, who will speak about the PMR

1 dose-finding studies.

2 Dr. Iyer?

3 **Applicant Presentation - Swaminathan Iyer**

4 DR. IYER: Thank you, Dr. O'Connor.

5 Good morning, everybody. I'm Swaminathan
6 Iyer, and I'm a professor in the Department of
7 Lymphoma and Myeloma, Division of Cancer Medicine
8 at the University of Texas, MD Anderson Cancer
9 Center, and also lead the PTCL lymphoma program
10 only because of an outstanding multidisciplinary
11 team. I'm also the first author of the recently
12 accepted manuscript for the phase 1
13 pralatrexate-plus-CHOP study. I'll also be sharing
14 the belinostat-plus-CHOP phase 1 study and the
15 phase 3 study that is now underway.

16 FOL 101 and BEL 104 were both phase 1,
17 open-label, multicenter, two-part, dose-finding,
18 dose-escalation studies. The objective was to
19 establish the safety and efficacy of pralatrexate
20 and belinostat, both in combination with CHOP.
21 Both studies enrolled patients with newly
22 diagnosed, untreated, histology-proven PTCL who are

1 eligible for CHOP chemotherapy.

2 The demographics for most patients in both
3 studies included white males, although 20 to
4 25 percent of the patients in the FOL-CHOP arm had
5 African American ancestry and 10 percent of the
6 other study, with a median age of 62 to 63 years.
7 Many patients had a subtype of PTCL NOS, including
8 PTCL NOS more in the FOL-CHOP arm and AITL in the
9 BEL-CHOP arm.

10 FOL 101 was conducted in two parts. In
11 Part 1, patients were treated with pralatrexate in
12 combination with CHOP for dose escalation on
13 days 1 and 8 of each cycle in five sequential
14 cohorts. No patients experienced dose limiting
15 toxicity. Per protocol, because the MTD was not
16 reached, the 30-milligram per meter squared dose
17 was selected for the expansion cohort. In the
18 expansion cohort, 33 patients were treated.
19 Treatment was repeated every 21 days for up to
20 6 cycles, and the patients were followed for one
21 year from the first dose.

22 Here we see the high overall response rate

1 for pralatrexate plus CHOP. Among the 31 patients
2 available for efficacy in the expansion cohort, the
3 objective response rate with the IWG criteria was
4 84 percent, with 65 percent complete responses and
5 19 percent partial responses. There was an
6 acceptable safety profile for pralatrexate plus
7 CHOP. In the expansion cohort, there was one death
8 not related to the study drug. Furthermore,
9 36 percent had serious adverse events, a similar
10 percentage as the other cohorts, and 21 percent of
11 the patients had adverse events leading to
12 discontinuation or dose reduction. Overall, this
13 study concluded that pralatrexate plus CHOP had a
14 high overall response rate and acceptable
15 tolerability at 30 milligrams per meter squared
16 dose.

17 Next, let's look at the belinostat study.
18 The BEL 104 was also conducted in two parts. In
19 Part A, patients in Cohort 3 were treated with
20 1000 milligrams per meter squared of belinostat per
21 day on days 1 to 3 of each cycle with standard CHOP
22 regimen. In the next cohort -- that's

1 Cohort 5 -- patients received 1000 milligrams per
2 meter squared of belinostat per day for five
3 consecutive days of every cycle with standard CHOP.
4 No patients were treated in Cohorts 1, 2, and 4.
5 As no dose limiting toxicities were observed in
6 Cohort 5, 1000 milligrams per meter squared on days
7 1 to 5 with CHOP was declared the recommended
8 regimen for the expansion cohort.

9 In total, 15 patients were treated with a
10 recommended regimen. Patients were treated every
11 21 days with up to 6 cycles of therapy or until the
12 toxicity or disease progression. The overall
13 response rate for the study BEL 104 was high at
14 86 percent in both Cohort 3 and the Cohort 5 plus
15 expansion. Most patients achieved a complete
16 response, including 71 percent in Cohort 5 plus
17 expansion.

18 Overall, the safety profile for belinostat
19 plus CHOP was acceptable. All patients experienced
20 at least one adverse event in both cohorts.
21 Serious adverse events were reported in 38 and
22 47 percent of the patients. In Cohort 5 plus

1 expansion, no patient discontinued or had to have
2 dose reduction and only 2 patients had a belinostat
3 dose interruption. Based on the results of the
4 study, this concluded that belinostat plus CHOP was
5 promising and effective with an acceptable safety
6 profile for newly diagnosed patients with PTCL.

7 Now let's turn to the phase 3
8 belinostat-plus-CHOP and pralatrexate-plus-COP
9 study for the first-line PTCL, the final
10 postmarketing study to fulfill the requirements for
11 accelerated approval. This study has two parts.
12 Part 1 is the optimal dose-finding study and Part 2
13 is a randomized phase 3 study. BEL 301 will enroll
14 patients with newly diagnosed PTCL who have not
15 been previously treated.

16 In Part 1, study treatment will be
17 randomized in 5 arms, belinostat at 600- or
18 1000-milligram per meter squared plus CHOP;
19 pralatrexate 20 or 30 milligrams per meter squared
20 plus COP; or the standard of care, which is CHOP
21 alone. Analysis will be done when 25 patients have
22 received their planned treatment cycles to evaluate

1 safety and efficacy.

2 In Part 2, patients will be randomized into
3 one of three treatment groups. In Group 1,
4 patients who've received belinostat at the dose
5 determined from Part 1 plus CHOP; in Group 2,
6 patients who've received pralatrexate at the dose
7 determined from Part 1 plus COP; and then Group 3
8 will receive the standard combination CHOP
9 chemotherapy. The cycles will be repeated every
10 21 days for up to 6 cycles.

11 Let's look at the endpoints. The primary
12 endpoint for Part 1 is to identify one of 2 dose
13 levels, each for belinostat and pralatrexate that
14 is optimal in combination with chemotherapy for
15 Part 2. The recommended dose to take forward into
16 Part 2 will be based on safety and efficacy,
17 specifically the overall response rate of 3 months.
18 Other parameters will include pharmacokinetics and
19 exposure-response relationship.

20 In Part 2, our primary endpoint will compare
21 the PFS of patients treated for up to 6 cycles with
22 belinostat plus CHOP or pralatrexate plus COP to

1 CHOP alone. The secondary endpoints will include
2 overall survival, ORR, and treatment compliance.
3 And we will also capture exploratory endpoints of
4 dose intensity, duration of response, and
5 proportion of patients receiving the stem-cell
6 transplant. Safety profiles will also be compared.

7 The study will be periodically evaluated
8 with the Independent Data Monitoring Committee or
9 IDMC. The IDMC will consist of two clinicians and
10 one biostatistician that will periodically review
11 patient-level efficacy and safety data. The IDMC
12 will have planned meetings that include a data
13 review at the end of Part 1. This will occur after
14 75 patients have been enrolled within the 3-month
15 data. The periodic review meetings will be held
16 6 months after the first patient is enrolled in
17 Part 1 and after each additional 100 patients are
18 enrolled in Part 2. They will also meet annually.
19 The committee will be responsible to recommend
20 study continuation or discontinuation. There will
21 be two planned analyses, one at the end of Part 1
22 and the second one after 120 PFS events have

1 occurred in Part 2.

2 Here we see the key statistical
3 considerations. Part 1 of the study will enroll
4 75 patients for 15 patients per treatment and is
5 expected to last for 24 months. Part 2 will not
6 include patients or data from Part 1 and will
7 enroll a total of 429 patients. As references for
8 assumptions, we used the recently completed phase 3
9 study design using CHOP that had the same
10 eligibility criteria and enrolled similar subtypes
11 of PTCL. With a hazard ratio of 0.7, we are
12 targeting a 30 percent improvement in PFS,
13 improving from 10 to 14 months. The sample size
14 was calculated to provide the statistical power for
15 two pair-wise comparisons of combination versus
16 CHOP with 80 percent power, a one-sided type 1
17 error rate of 2.5 percent, and a drop-off rate of
18 10 percent. This corresponds to 126 events in each
19 of the treatment arms and 127 events in the control
20 arm, for a total of 379 PFS events.

21 In summary, the confirmatory study 301 will
22 be one of the largest randomized studies to date,

1 capturing the heterogeneity of PTCL and
2 treatment-related outcomes. The study design was
3 based on discussions with FDA. This includes dose
4 optimization in Part 1, followed by the
5 confirmatory study of clinical benefit in Part 2.
6 The IDMC will review safety and efficacy at regular
7 intervals. I'm excited to participate in this
8 study as an investigator at MD Anderson, one of the
9 participating study sites. Let me return the
10 podium to Dr. Anvekar to explain the timelines and
11 actions to support a timely completion. Thank you.

12 **Applicant Presentation - Ashish Anvekar**

13 MR. ANVEKAR: Thank you, Dr. Iyer.

14 The phase 1 studies indicated a meaningful
15 ORR response for the combination regimens and we
16 are eager to see if the results are reproducible in
17 the confirmatory study. We believe we have put in
18 the planning and the resources, and are moving with
19 a sense of urgency while recognizing the challenges
20 of completing a trial in this rare disease.

21 With that, I'd like to present the study
22 timeline and provide some details on the study

1 execution. This is our projected timeline. It is
2 important to note two things. One, the total
3 duration of Part 2 is 4-and-a-half years to get the
4 top-line PFS for all 379 events. This is in line
5 with the expectation for a study in this rare
6 indication, as well as prior studies conducted for
7 romidepsin plus CHOP and brentuximab plus CHP.
8 Further, for Part 2 of the study, our approximately
9 100 sites, which have been targeted, will be active
10 at the same time. Thus, there should be no or
11 minimal ramp-up needed in patient enrollment.

12 Two, the trial has interim time points where
13 results will be available, indicating whether the
14 active arm has benefit or not as assessed by the
15 IDMC, the sponsor, and the FDA. The first such
16 interim point is around December of 2025. An
17 interim PFS analysis for the first 120 events
18 should be available by February of 2028 and the
19 final PFS results should read out in March 2030.

20 Acrotech has appointed a highly experienced
21 CRO with a strong global presence that knows how to
22 conduct and complete clinical studies. They have

1 strong oncology experience that spans 138 studies
2 across 5,000 sites worldwide, involving more than
3 18,000 patients. Specifically, they have conducted
4 40 lymphoma studies over the past 5 years,
5 including four PTCL studies. This experience gives
6 us the confidence with our recruitment efforts and
7 the ability to complete Study 301.

8 This slide shows the countries identified as
9 the most likely to quickly and successfully enroll
10 the target population for Study 301. This is based
11 on data-driven analysis by our CRO that includes
12 factors such as access to experienced sites,
13 operational considerations, and prevalence of PTCL.
14 The countries colored in red and orange have the
15 highest disease prevalence and the trial experience
16 and we have selected sites within those countries
17 for participation. Study 301 is proceeding as
18 planned. The CRO has screened the relevant sites
19 and we currently have 77 sites in 10 countries that
20 have agreed to participate to date.

21 We are working on regulatory approval of the
22 protocol at a country and local level for these

1 sites. The agreed-upon protocol was approved by
2 the central IRB in August 2023 in the U.S. We are
3 happy to report that the first site was initiated
4 in the U.S. in October 2023. Our aim is to enroll
5 patients in a timely manner and target at least
6 half of the patients to be from U.S. and Canada.
7 Our confidence on the ability to enroll stems from
8 a detailed analysis of the site capabilities,
9 benchmark analysis done by our CRO, and the
10 historical precedent from other PTCL conducted
11 studies. We estimate an enrollment rate of
12 0.14 to 0.21 patients per site per month. This
13 means we will finish the recruitment of Part 1 in
14 18 months and Part 2 in 21 months.

15 We are considering potential strategies to
16 shorten the timeline for the currently agreed-upon
17 PMR study. Specifically, we are looking to check
18 the feasibility of increasing the number of sites
19 to shorten the enrollment timeline. We are
20 maintaining constant contact with high potential
21 sites who may have currently not agreed to
22 participate because of resources used, and to get

1 back to them and see if we can encourage
2 participation in the trial by providing the
3 resources required. We believe the best course is
4 to continue with the agreed trial design and do not
5 pursue any other indication, including
6 relapsed/refractory PTCL. Of course, our focus is
7 to implement strategies and tactics that can speed
8 up the enrollment in the agreed-upon design.

9 For pralatrexate, the phase 1 study in
10 patients with hepatic impairment is targeted to be
11 completed by December 2024. The phase 3 study is
12 already active. As early as December 2025, we
13 could get interim results informing us of the
14 utility, or not, of these products. We are eager
15 to confirm if the encouraging results seen in the
16 phase 1 studies are reproducible in the
17 confirmatory trial in the first-line setting. We
18 are confident on the study design and the execution
19 plan while appreciating the challenges of the trial
20 in this rare disease. Thank you for your
21 attention, and we look forward to the discussion
22 and your guidance.

1 DR. CHEN: Thank you.

2 We will now proceed with the FDA
3 presentation from Dr. Kasamon.

4 **FDA Presentation - Yvette Kasamon**

5 DR. KASAMON: Hello. I'm Yvette Kasamon, a
6 hematologist/oncologist and clinical team leader in
7 FDA's Division of Hematologic Malignancies II. I
8 will provide additional FDA perspectives on the
9 prolonged accelerated approvals of pralatrexate and
10 belinostat for patients with relapsed or refractory
11 peripheral T-cell lymphoma.

12 The members of the FDA review team are
13 listed here. My presentation represents their
14 collective input. There are a number of important
15 considerations regarding the prolonged accelerated
16 approvals of pralatrexate and belinostat with
17 delayed verification of benefit. I will discuss
18 regulatory considerations and history and the
19 delays in meeting milestones for postmarketing
20 requirements. I will then discuss dosing and
21 toxicity concerns with both products as they relate
22 to the timeline for fulfilling postmarketing

1 requirements. Lastly, we will use this experience
2 to foster a discussion on promoting timely
3 verification of clinical benefit of these and other
4 oncology products granted accelerated approval.

5 I will first highlight regulatory
6 considerations with these two drugs. Pralatrexate
7 and belinostat were both granted accelerated
8 approval as single agents for the treatment of
9 adult patients with relapsed or refractory
10 peripheral T-cell lymphoma. Pralatrexate, a
11 dihydrofolate reductase inhibitor, was approved
12 14 years ago based on a response rate of 27
13 percent, with durability in a single-arm trial in
14 109 patients with relapsed or refractory PTCL.
15 Belinostat, an HDAC inhibitor, was approved 9 years
16 ago based on a response rate of 26 percent, with
17 durability in a single-arm trial in 120 patients
18 with relapsed or refractory PTCL.

19 For products receiving accelerated approval,
20 confirmatory trials to verify and describe the
21 anticipated clinical benefit must be performed with
22 due diligence; however, clinical benefit has not

1 yet been verified for either drug. As I will
2 detail, the final protocol for the confirmatory
3 trial was submitted to the FDA after a 7-year delay
4 and the final report of the trial is not projected
5 to be submitted until 2030, resulting in a total
6 period of vulnerability of at least 21 years for
7 pralatrexate and at least 16 years for belinostat.

8 The endpoints of response rate and the
9 duration of response carry uncertainty in
10 predicting clinical benefit in patients with
11 lymphoma. There can be a lack of correlation
12 between these early endpoints and survival
13 outcomes, including in trials in patients with
14 lymphoma. This discordance is more likely in
15 settings where the product has a modest magnitude
16 of effect on the early endpoint, especially in the
17 context of significant toxicity. Pralatrexate and
18 belinostat both have modest efficacy in patients
19 with relapsed or refractory PTCL and notable
20 toxicities.

21 The sponsor has cited a number of supportive
22 studies for pralatrexate; however, the single-arm

1 supportive evidence is based on trials from a
2 single region and relies on response rate and
3 durability, measures that may predict clinical
4 benefit. An agreed-upon phase 3 trial to confirm
5 clinical benefit has yet to be completed. Any
6 claims regarding confirmation of efficacy, which
7 the sponsor has suggested for pralatrexate based on
8 the randomized LuMIERE trial or a cited case
9 control study, are inappropriate to apply to
10 regulatory decisions. Rather, a well-controlled
11 randomized trial, or trials, are needed to verify
12 the anticipated clinical benefit for pralatrexate
13 and belinostat.

14 For products granted accelerated approval in
15 the relapsed or refractory disease setting, the
16 confirmatory trial may be conducted in an earlier
17 line and may evaluate the product as a single agent
18 or as part of a combination regimen. If the
19 confirmatory trial verifies clinical benefit, FDA
20 would typically grant traditional approval for the
21 new indication and for the indication under
22 accelerated approval.

1 This table summarizes FDA-approved
2 treatments for patients with relapsed or refractory
3 PTCL. Each of the four drugs was granted
4 accelerated approval based on response rate in a
5 single-arm trial. Of the four drugs, two had
6 confirmatory trials completed as required. For
7 brentuximab vedotin, the confirmatory trial
8 verified clinical benefit, leading to traditional
9 approval. For romidepsin, the confirmatory trial
10 failed and the commercial sponsor voluntarily
11 withdrew the PTCL indication for romidepsin.

12 These are examples of the accelerated
13 approval program working as it was designed, with
14 an initial accelerated approval based on an early
15 or intermediate endpoint followed by a confirmatory
16 trial that either verified the anticipated clinical
17 benefit, resulting in traditional approval, or
18 failed to verify the clinical benefit, resulting in
19 the product being withdrawn from the market. We
20 recognize that pralatrexate and belinostat are
21 outliers in the accelerated approval program.

22 As Dr. Mehta and Dr. Richardson stated, we

1 continue to assess the current therapeutic
2 landscape when evaluating prolonged accelerated
3 approvals. In patients with relapsed or refractory
4 PTCL, a high unmet need continues. Brentuximab
5 vedotin applies only to a subset of patients with
6 relapsed or refractory PTCL and is also approved as
7 part of first-line treatment. The primary aim of
8 today's meeting is to discuss approaches to
9 improving the verification of clinical benefit for
10 these products and other products under accelerated
11 approval, rather than the continued marketing or
12 removal of pralatrexate and belinostat.

13 The experience with romidepsin in PTCL is
14 important for today's discussion and illustrates a
15 lack of translation of response rate to survival
16 outcomes. Romidepsin, an HDAC inhibitor, received
17 accelerated approval for PTCL based on durable
18 response rates similar to those of pralatrexate and
19 belinostat. The confirmatory trial for romidepsin
20 was a randomized, open-label, phase 3 trial,
21 evaluating romidepsin plus CHOP, or Ro-CHOP, versus
22 CHOP alone in patients with previously untreated

1 PTCL. The trial failed to demonstrate statistical
2 significance of its primary endpoint of
3 progression-free survival. The overall survival
4 curves were also similar, as were the response
5 rates and depth of response. Moreover, the Ro-CHOP
6 combination was associated with significantly
7 higher toxicity, including grade 3 or higher
8 toxicities, which included febrile neutropenia and
9 cytopenias.

10 Notably, the addition of romidepsin resulted
11 in the lower average relative dose intensity of
12 cyclophosphamide, doxorubicin, and vincristine,
13 suggesting that the addition of romidepsin could
14 compromise delivery of the chemotherapy backbone.
15 The experience with romidepsin highlights the need
16 both for verification of benefit and minimizing the
17 period of vulnerability, as the confirmatory trial
18 failed and there was concern for increased
19 toxicities in combination. The sponsor for
20 belinostat and pralatrexate is planning to conduct
21 a similar trial in the same population.

22 I will next summarize the regulatory history

1 for pralatrexate and belinostat. Before the
2 accelerated approval of pralatrexate in patients
3 with relapsed or refractory PTCL, the application
4 was discussed at an ODAC meeting. The ODAC meeting
5 was held in 2009 to discuss the clinical
6 significance of the response rate and duration of
7 response in the single-arm, phase 2 trial and the
8 benefit-to-risk ratio for pralatrexate treatment.
9 Limitations of the application were discussed, and
10 the committee was asked whether the response rate
11 and duration of response results were reasonably
12 likely to predict for clinical benefit. The
13 majority of the committee voted yes with four
14 voting no.

15 The initial confirmatory trial PMRs for
16 pralatrexate were released due to feasibility
17 concerns. One was a randomized trial of
18 maintenance treatment with pralatrexate in
19 previously untreated patients with PTCL after
20 response to first-line therapy. This trial was
21 started but had poor accrual. The other randomized
22 trial, which was not initiated, would compare

1 pralatrexate plus systemic bexarotene versus
2 bexarotene alone in patients with refractory
3 cutaneous T-cell lymphoma.

4 New accelerated approval PMRs were issued in
5 2014. The sponsor proposed the same confirmatory
6 trial for both drugs, belinostat plus chemotherapy,
7 versus pralatrexate plus chemotherapy, versus CHOP
8 alone in patients with previously untreated PTCL.
9 Because the trials involved combination regimens,
10 dose-finding PMRs were necessary. The dose-finding
11 PMRs were to establish the optimal and safe dose of
12 each drug in combination with CHOP through separate
13 phase 1, dose-finding trials in patients with PTCL.
14 A sufficient number of patients were to be enrolled
15 to characterize safety. The PMRs for the
16 confirmatory trial are shown here with the original
17 milestone dates. I will next summarize the design.

18 The agreed-upon protocol for the
19 confirmatory trial has two parts. Part 1 is a
20 randomized dose optimization phase comparing
21 belinostat at two dose levels in combination with
22 CHOP, pralatrexate at two dose levels in

1 combination with COP, and CHOP alone. Part 2 would
2 be the confirmatory randomized trial comparing
3 belinostat plus CHOP, versus pralatrexate plus COP,
4 versus CHOP alone, using the selected dose levels
5 in the dose optimization phase. The primary
6 endpoint is progression-free survival per
7 investigator with key secondary endpoints that
8 include overall survival. Part 1 of the trial
9 opened to accrual in October 2023.

10 Before discussing further details of the
11 PMRs, I'll outline the regulatory history with
12 respect to product ownership. The transfer of
13 ownership of the pralatrexate and belinostat NDAs
14 is summarized here, with the current sponsor
15 acquiring both drugs in 2019. Of note, the
16 transfer of product ownership should not result in
17 delays since the new owner assumes responsibility
18 and accountability for all outstanding regulatory
19 requirements; however, there are multiple notable
20 delays in the accelerated approval PMRs and safety
21 PMRs as well.

22 The status of the accelerated approval PMRs

1 is shown here. Although the dosing PMR for
2 belinostat plus CHOP was fulfilled on time, the
3 final report for the pralatrexate-CHOP, phase 1
4 trial was submitted more than 5 years late. The
5 final protocol for the confirmatory trial was
6 submitted approximately 7 years late. I will
7 discuss some reasons for these delays later in the
8 presentation.

9 This slide compares the original milestone
10 dates for the confirmatory trial and the most
11 recent milestone dates proposed by the sponsor.
12 The final protocol, which was to be submitted in
13 late 2015, was submitted in early 2023. The final
14 report, originally due in 2021, is currently
15 projected to be submitted in 2030. There were also
16 notable delays in safety PMRs for both drugs, as
17 summarized here.

18 The report of a pralatrexate safety study in
19 renal impairment was submitted approximately
20 2-and-a-half years late, and the report of a
21 required safety study in hepatic impairment is
22 outstanding and currently approximately 2 years

1 late. Other required safety studies with delays of
2 more than one year are shown here, with delays up
3 to 7 years. The recurrent delayed milestones for
4 these PMRs warrant a close inspection of the
5 reasons and how these delays can be mitigated.

6 There are a number of important
7 considerations regarding safety and dosing for both
8 pralatrexate and belinostat. It's important to
9 have confidence in the dose, whether it's as
10 monotherapy or as part of a combination regimen.
11 Originally, the goal of dose selection for both
12 pralatrexate and belinostat as monotherapy was to
13 determine the maximum tolerated dose or MTD. In
14 both cases, dose escalation studies began in
15 patients with solid tumors, and studies in patients
16 with hematologic malignancies were subsequently
17 started at doses close to the MTD based on data
18 from the solid tumor trials.

19 In the studies conducted in patients with
20 hematologic malignancies, the MTD, outlined in red,
21 was reached fairly quickly with very few patients
22 enrolled at lower dosages. Consequently, there was

1 limited data to assess whether lower doses may have
2 provided adequate efficacy with lower rates of
3 adverse events.

4 A closer look at the data for both drugs
5 showed that high exposures were not associated with
6 better outcomes. This is, in part, because of
7 limitations of the data. Positive
8 exposure-response relationships for safety were
9 observed for pralatrexate, suggesting that high
10 exposures were associated with higher rates of
11 adverse events such as thrombocytopenia. For
12 belinostat, while no relationships were observed
13 between exposure and safety events, the assessment
14 is limited by patient numbers and the limited
15 duration of exposure.

16 The sponsor has contended that pralatrexate
17 as monotherapy is overall well tolerated in the
18 majority of patients with PTCL; however, there are
19 notable toxicity concerns with pralatrexate. The
20 most common any grade and grade 3 or 4 toxicities
21 are hematologic toxicities and mucositis. Both are
22 included as warnings and precautions in the U.S.

1 prescribing information. In the pivotal phase 2
2 trial, there were high rates of serious adverse
3 events, dose modifications, and discontinuations.
4 A leading toxicity was mucositis, affecting
5 70 percent of patients, with 21 percent developing
6 grade 3 or 4 mucositis. Other common adverse
7 events affecting at least 21 percent of patients
8 included cytopenias; gastrointestinal toxicities;
9 edema; cough; and epistaxis. The FDA review staff
10 concluded that there was insufficient data
11 available to determine if the dose was optimized in
12 terms of efficacy and safety or whether lower doses
13 might be better choices.

14 For belinostat, the total duration of
15 treatment was short, a median of 7 weeks, and grade
16 3 or 4 AEs occurred in 61 percent of patients.
17 Although the AEs may be manageable, it remains
18 unclear whether a lower dose of the belinostat may
19 be equally efficacious with lower rates of
20 toxicity, as was noted in the clinical pharmacology
21 review of the NDA.

22 Because of the dosing concerns and

1 uncertainty with each agent as monotherapy and the
2 lack of evaluation of these agents in combination
3 with chemotherapy, dosing PMRs were issued for each
4 drug in combination with CHOP. These evaluations
5 in combination were completed by the sponsor, but
6 concerns remained based on FDA review of the data.

7 For both products, dose exploration in
8 combination with CHOP was also limited,
9 prioritizing an MTD approach. For pralatrexate,
10 although a good number of doses were assessed,
11 there were too few patients enrolled between 10 and
12 25 milligrams per meter squared to evaluate the
13 efficacy and safety well. The response rates
14 ranged from 100 percent at 10 milligrams per meter
15 squared to 84 percent at 30 milligrams per meter
16 squared, and the grade 3 or 4 event rates ranged
17 from 70 to 100 percent. Similar observations were
18 made for belinostat, where only 2 doses were
19 assessed in a few patients per cohort. The
20 response rate of both doses was 86 percent and the
21 AEs were similar.

22 Recently, the FDA further questioned the

1 doses selected for combination therapy. FDA
2 determined that there was a need for further dose
3 optimization for both drugs when combined with
4 chemotherapy before pursuing a confirmatory trial
5 in the first-line curative intent setting. In
6 early 2023, the sponsor and the FDA agreed on these
7 dose exploration plans. Continued pursuit to
8 identify a safe and optimal dose for both drugs is
9 in line with the Oncology Center of Excellence's
10 Project Optimus.

11 The toxicity profile of pralatrexate and
12 belinostat, coupled with their modest efficacy in
13 single-arm trials, underscores uncertainty in
14 clinical benefit. We acknowledge that in some
15 cases, durable response rates in single-arm trials
16 have supported traditional approval in settings
17 where randomized-controlled trials would be
18 impracticable; however, it is feasible to conduct
19 well-controlled randomized trials in patients with
20 PTCL in reasonable time frames.

21 Examples include the romidepsin CHOP trial,
22 where approximately 7 years elapsed from trial

1 initiation to primary completion, and the pivotal
2 trial of brentuximab vedotin in patients with
3 previously untreated PTCL. Approximately
4 5 and a half years elapsed between that trial
5 initiation to primary completion despite the trial
6 being restricted to a subset of PTCLs, namely those
7 with CD30 expression.

8 Here we have reviewed the delays in meeting
9 milestone timelines for pralatrexate and
10 belinostat, including delays from meeting further
11 dose optimization and delays for administrative
12 reasons. I will next briefly outline some
13 potential approaches to promoting more timely
14 verification of clinical benefit.

15 Accelerated approval is a dynamic situation,
16 and we continually learn from past experiences.
17 This experience affords an opportunity to consider
18 potential strategies to minimize delays in
19 confirmatory trials for future accelerated
20 approvals, as we have tools to help navigate these
21 challenges.

22 What could have been done better on the

1 sponsor side and the FDA's? First, the sponsor
2 cited administrative reasons for delays, namely the
3 transfer of product ownership of pralatrexate and
4 belinostat. As mentioned, the new owner of a
5 product assumes responsibility and accountability
6 for all outstanding regulatory requirements.
7 Additionally, PMR milestones are agreed upon by
8 both the sponsor and FDA. If the sponsor
9 anticipates potential delays in meeting PMR
10 milestones, more interactions with FDA should be
11 sought, whether following transfer of NDA ownership
12 or at any appropriate point in the drug's
13 development. This would allow a further
14 understanding of the issues and allow for a
15 collaborative approach to mitigate these delays.

16 With regard to adequate evaluation of dose,
17 there was delay in the conduct of the dose-finding
18 trial of pralatrexate plus CHOP and delay in FDA
19 feedback on the need for additional dose
20 optimization. There is a more recent focus on dose
21 optimization earlier in drug development.
22 Adequately evaluating dose has always been a

1 priority; however, through Project Optimus, there
2 is a renewed interest and greater transparency
3 regarding the need for adequate dose evaluation
4 prior to conducting registration trials.

5 Project Optimus was initiated by the
6 Oncology Center of Excellence to focus on better
7 dose selection in oncology. MTD-based dose
8 selection evolved from cytotoxic therapies, where
9 it was difficult to determine an efficacious dose
10 with little toxicity. This paradigm has been
11 applied ubiquitously, even to newer more targeted
12 therapies. Consequently, for many drugs the dose
13 is too high, as evidenced by high rates of adverse
14 events; dose reductions; interruptions; and
15 discontinuations observed in oncology. Project
16 Optimus is an initiative to encourage dose
17 selection that balances efficacy and safety more by
18 incorporating greater use of nonclinical data;
19 pharmacokinetic and pharmacodynamic data; modeling
20 and simulation; and evaluation of efficacy and
21 safety at lower dose cohorts with more patients.

22 Additionally, the Oncology Center of

1 Excellence has a focus on multiregional trials that
2 may help promote timely completion of trials. The
3 focus is on ensuring that clinical trials have
4 broad representation of diverse patient populations
5 from multiple regions globally. Ensuring clinical
6 trial sites from broad regions might help expedite
7 drug development, especially for rare diseases.

8 Recent updates to accelerated approval
9 legislation may also promote timely verification of
10 clinical benefit. In December 2022, Congress
11 passed the Food and Drug Omnibus Reform Act, or
12 FDORA, which allows the FDA to require that
13 confirmatory trials be underway prior to approval.
14 As mentioned previously, it also allows the FDA to
15 require submission of progress reports on the
16 confirmatory trials every six months. This helps
17 track the status of the trials and identify delays
18 earlier that may be actionable. As mentioned, we
19 continually learn from past experiences, and these
20 additional authorities granted by the new FDORA
21 legislation were informed by such experiences.

22 As I have summarized, pralatrexate and

1 belinostat have had notably prolonged accelerated
2 approvals with delayed verification of benefit,
3 with various factors contributing to that delay.
4 With this in mind, we would like for the committee
5 to discuss the following two topics.

6 First, please discuss the delays in
7 post-approval confirmatory trials for pralatrexate
8 and belinostat, and whether the current plan to
9 verify the clinical benefit of these products in
10 patients with peripheral T-cell lymphoma is
11 reasonable, considering the sponsor's proposed
12 timelines. Additionally, please discuss strategies
13 to promote timely completion of the confirmatory
14 trial for pralatrexate and belinostat and insights
15 from this experience that may facilitate completion
16 of confirmatory trials for future accelerated
17 approvals. As previously mentioned, there is no
18 voting question for today's meeting. We look
19 forward to the committee's insights on these
20 important topics. Thank you for your attention.
21 This concludes my presentation.

22

Clarifying Questions

1 DR. CHEN: Thank you, Dr. Kasamon.

2 We will now take clarifying questions for
3 Acrotech and the FDA. Please use the raise-hand
4 icon to indicate that you have a question, and
5 remember to lower your hand by clicking the
6 raise-hand icon again after you have finished your
7 question. When acknowledged, please remember to
8 state your name for the record and direct your
9 question to a specific presenter, if you can. If
10 you wish for a specific slide to be displayed,
11 please let us know the slide number, if possible.
12 Finally, it would be helpful to acknowledge the end
13 of your question with a thank you and any follow-up
14 question with, "That is all for my questions," so
15 we can move on to the next panel member. Thank
16 you.

17 DR. ADVANI: Thank you. I'm Dr. Advani from
18 Stanford. I have a question for the sponsor. I
19 may have missed it, but the pralatrexate-CHOP was
20 the combination on that study, but your study
21 design for the confirmatory trial is COP not CHOP,
22 so I was a little confused about that. The second

1 question I had was, when you say standard of care
2 CHOP, you didn't show the eligibility criteria.
3 Are you excluding ALCL? Because I think there,
4 whether it's ALK-positive or ALK-negative, the
5 standard of care would not be CHOP; it would be
6 brentuximab and CHOP.

7 So I'm just trying to get some clarification
8 on the study design as to why COP, and are you
9 going to exclude ALCL because otherwise, CHOP would
10 not be a standard of care for ALCL. Thank you.

11 MR. MINGMONGKOL: Sure. So first, just to
12 introduce myself, I'm Paul Mingmongkol. I'm a
13 senior director at Acrotech, and I'm here to bring
14 our panel in to answer your questions. For both of
15 your questions, I'd love to bring up Dr. Iyer.

16 DR. IYER: Thank you, Dr. Advani, for that
17 question. The rationale at the time of the study
18 design, and it was submitted and discussed, was
19 that the pralatrexate and Adriamycin are
20 overlapping toxicities to make sure that
21 pralatrexate is not under-dosed and to maintain the
22 dose intensity. That was one of the suggestions,

1 but this is definitely for the Part 1 and could be
2 revisited for Part 2. As far as your second
3 question, ALCLs are not part of this because there
4 is a very good option with brentuximab.

5 DR. CHEN: Thank you.

6 Dr. Gradishar?

7 DR. GRADISHAR: Hi. Dr. Bill Gradishar from
8 Northwestern, and this is to the FDA. I had a
9 question. I appreciate the history of the drug
10 development and the challenges that were described
11 by both presentations, but I guess the question I
12 have is thinking about having sat, as many of us,
13 on DMCS and participated in trials where expected
14 versus actual accrual are very different lines,
15 with oftentimes a big separation between them.

16 I still am not clear what the carrot and
17 stick is to move this along. Is that going to be
18 placed with certain timelines? And then, what are
19 the implications if the timelines aren't being met?
20 That's that's my question. Thank you.

21 DR. KASAMON: This is Dr. Kasamon. If there
22 are anticipated delays, there needs to be

1 communication across stakeholders so there can be
2 completion of this trial in a timely manner. We're
3 committed to supporting completion of the
4 confirmatory trial in an expeditious manner, as
5 evidenced by the discussion topics for today's ODAC
6 meeting. As mentioned, with FDORA, there is more
7 transparency in the progress of monitoring the
8 confirmatory trial, so the FDA welcomes working
9 with the sponsors and fostering a collaborative
10 approach to mitigate delays. Thank you.

11 DR. PAZDUR: Dr. Gradishar, this is Rick
12 Pazdur. I think you hit a central element here,
13 and that's why we are really emphasizing that these
14 trials be ongoing and near completion. We have
15 very little authority at this time, other than
16 removing a drug from the market, so this poses a
17 problem where patients are in the middle of this,
18 so to speak, and we really want to do the right
19 thing for patients and not deny them a
20 potentially -- and I underline the word
21 "potentially" -- effective therapy here. But we
22 have very limited power once it comes to the drug

1 is on the market, other than while we're waiting
2 for these confirmatory trials, what to do here,
3 other than removing it because they have not
4 demonstrated due diligence. And then who's in the
5 middle here? The patient; and this is what is
6 quite unfortunate. And that's why we have been big
7 advocates that these trials need to be ongoing
8 here.

9 DR. GRADISHAR: Thank you.

10 DR. CHEN: Thank you.

11 Dr. Spratt?

12 DR. SPRATT: Thank you so much. I
13 appreciate everyone's presentations. A comment and
14 a question, and I'll direct one question to the FDA
15 and one to the sponsor. At least from my vantage
16 point, I think that I view an accelerated approval
17 as something that if that pathway did not exist,
18 this, off of a single arm, a relatively small
19 trial, would be unlikely to gain a traditional
20 approval. So this is something that has been
21 granted, so it's almost like I sometimes view this
22 as a loss to take something away that you never

1 fully had.

2 I think that as we saw with the
3 romidepsin -- and there's plenty outside of these
4 disease-based stories -- that we often -- and I use
5 this carefully, and I say this -- we punish
6 patients by having aggressive disease by thinking
7 we need to give them more therapy when we haven't
8 proven that therapy works, because us as physicians
9 feel we need to do something because it's a lethal
10 and aggressive disease. So I think that we have
11 seen in this disease space, despite it being a rare
12 disease, that we can harm patients even when you
13 see something that, say, has biological activity.

14 The question to the FDA is, it was stated in
15 the slides, an accelerated approval, in quotes, "is
16 based on an effect on a surrogate endpoint that's
17 reasonably likely to predict clinical benefit,"
18 unquote. Objective response rate is not, by the
19 data that has been shown or on literature review, a
20 surrogate endpoint in this disease space, or even
21 in potentially a larger broader category, in
22 non-Hodgkin's lymphoma.

1 I didn't see any data presented in either
2 presentations on this being a surrogate for either
3 quality of life or on survival; obviously, in the
4 trials, romidepsin and alisertib, it clearly is
5 not. So I think we are misusing the terms of
6 "surrogacy" in a correlative or prognostic
7 endpoint, and, obviously, these interim endpoints,
8 intermediate endpoints, are correlative, but
9 they're not necessarily reaching a bar.

10 So the question is, can an established
11 threshold of surrogacy, often termed a "surrogate
12 threshold effect," be established for something
13 like objective response rate or PFS for this
14 proposed randomized trial so that we set a bar to
15 move past that phase 1 design?

16 DR. RICHARDSON: Hi. This is Nicholas
17 Richardson, FDA. Thank you for the questions,
18 Dr. Spratt. You raise a number of topics that are
19 important. Regarding endpoints, I'd like to ask
20 Dr. Mehta to comment to start.

21 DR. MEHTA: Yes. Thank you. Can we have
22 slide number 82 pulled up?

1 I think you raised an important point about
2 surrogate endpoints. We define surrogate endpoints
3 using the Prentice criteria in oncology, and for an
4 endpoint to be a true surrogate, it has to have a
5 direct 1 to 1 -- I believe 81 in the slide deck,
6 not the number. This might be one slide before
7 that. I apologize. But this presumes a 1-to-1
8 relationship between that clinical endpoint and
9 survival, and we do not have that, so we tend to
10 not use this term "surrogate endpoint."

11 If we could move to slide 78, so three
12 slides earlier. Actually, in the accelerated
13 approval legislation -- and I'm glad you brought up
14 this point because it's important to point this
15 out -- we can approve a product for accelerated
16 approval based on either a surrogate endpoint that
17 is reasonably likely to predict clinical benefit or
18 a clinical endpoint that could be measured earlier
19 than either morbidity or mortality, which is
20 reasonably likely to predict that clinical benefit.
21 So in some of these cases, we're relying on overall
22 response rate as an endpoint that's measured

1 earlier than morbidity or mortality.

2 DR. GORMLEY: This is Nicole Gormley,
3 division director. I'd like to just highlight as
4 well. You bring up a really important point in
5 that we have seen, as well, in a lot of our trials
6 some discrepancy and discordance between these
7 earlier intermediate endpoints that we use, and
8 then ones that we know are established and
9 important, like overall survival. And to that end,
10 this trial, the proposed confirmatory trial, has a
11 progression-free survival endpoint but then also
12 has overall survival as a secondary endpoint, and
13 for our regulatory review, it will be really
14 important to have confidence in those overall
15 survival results.

16 Even when we rely on earlier
17 endpoints -- overall response rate,
18 progression-free survival -- at the FDA, we always
19 evaluate overall survival because of its importance
20 and its ability to serve as both an efficacy
21 endpoint and as a safety endpoint, so this
22 confirmatory trial will have an assessment of

1 overall survival as well. Thank you.

2 DR. CHEN: Thank you.

3 Dr. Vinks?

4 DR. SPRATT: If it's ok, I had a question
5 for the sponsor as well. The question for the
6 sponsor is, I keep hearing one of the drivers of
7 the delays is this is a rare disease, so while,
8 obviously, far more rare than many cancers, it's
9 clearly more common. I think all of pediatric
10 malignancies combined is around 17,000 cases, plus
11 or minus a year, and that's numerous cancer types,
12 and obviously many, many randomized trials are
13 conducted within it. The proposed trial that
14 you're conducting between the phase 1 stage and
15 stage 2 stages are over 500 patients.

16 So I guess, is this really the rarity of the
17 disease given the trial you're proposing, if it's
18 feasible to conduct, or is it these other
19 logistical challenges regarding the company being
20 bought and exchanged multiple times?

21 MR. MINGMONGKOL: Let me invite Dr. Anvekar
22 to answer your question.

1 MR. ANVEKAR: Let me answer it in a
2 two-fold. One is the PTCL, as we indicated, is a
3 rare disease, and therefore we have also looked at
4 the trial completion from a global perspective so
5 that the enrollment rate is as per our forecast.
6 But the ability to diagnose the patients of PTCL,
7 that I will maybe point out to Dr. O'Connor to
8 present in terms of there are 10[000] or 15,000
9 cases in a year, and if there are 10 [000] or
10 15,000 oncologists in the U.S., maybe one patient
11 per doctor is seen, and therefore the ability to
12 diagnose that patient and be able to channel it to
13 our study is also equally important.

14 So why we feel very confident about working
15 with our CRO and the enrollment rates, and the
16 projected timelines are in line with the romidepsin
17 plus CHOP and the brentuximab plus CHP study, which
18 has been done, from that perspective, we feel very
19 confident. Thank you.

20 DR. CHEN: Thank you.

21 Dr. Vinks?

22 DR. VINKS: Yes. Thank you. Thank you to

1 the presenters for their informative presentations.
2 I'm Alexander Vinks. I'm with NDA Partners. As a
3 clinical pharmacologist, one of the things that I'm
4 very interested in hearing is dose optimization. I
5 just have a couple of clarifying questions for the
6 sponsor, as there is a dose optimization part 1 in
7 the proposed phase 3 trial. I'm just interested to
8 hear what has been learned from the previous
9 dose-finding studies, where a more traditional
10 approach of maximum tolerated dose was used as
11 opposed to what in our field now is modeling for
12 precision dosing approaches and modeling and
13 simulation used to look at exposure rather than
14 dose, and then link that into, say, pharmacodynamic
15 markers, and used that as the exposure-response
16 relationship to be studied and analyzed.

17 So I was just wondering if any of the
18 modeling and simulation approaches that are
19 currently commonly used, especially also in areas
20 outside of oncology and have been basically
21 highlighted through Project Optimus, whether the
22 sponsor has used or will be using this as part of

1 the dose optimization part. Because one of the
2 concerns I would have is whether there's a true
3 difference in exposure between a 20- and a
4 30-milligram per square meter dose or variability
5 between patients in terms of pharmacokinetic
6 behavior, and therefore exposure could be extreme
7 in that you have overlapping distribution or
8 exposure, so therefore, there is no, quote/unquote,
9 "dose effect" to be discerned. I'll stop there.

10 MR. MINGMONGKOL: To answer the historical
11 question, I'm going to ask Dr. O'Connor, and then
12 I'll turn it over to Dr. Iyer, who will take the
13 second part of your question.

14 Dr. O'Connor?

15 DR. O'CONNOR: You raise some important and
16 interesting questions about how we're finding the
17 dose to move forward in the recommended phase 2.
18 Back in the days when at least the PROpel study was
19 planned and implemented, there was no Project
20 Optimus and/or theories about dose exposure were
21 probably far more primitive. I will say, though,
22 that at the time, we did collaborate with various

1 pharmacokineticists and did do an extensive
2 population PK modeling experience that we tailored
3 to the lymphoma patients.

4 You may or may not recall, but the early
5 phase 1 experiences with the drug in solid tumor
6 actually escalated the drug all the way up to
7 150 to 200-milligram per meter squared, and there
8 was actually a lot of that population PK modeling
9 that we implemented that knocked us down to doses
10 around the 20-to-30-milligram per meter squared
11 range. Back then, yes, MTD was and had been the
12 criteria used to identify the dose that moved
13 forward in the recommended phase 2 studies, but we
14 didn't have the benefit of all the modeling,
15 exposure data, and instruments that we have today.
16 So I think we made a pretty good effort back then
17 to try to implement the tools at our exposure to
18 try and explore these issues in detail.

19 With regard to the phase 3, I'm going to
20 hand it off to Dr. Iyer.

21 DR. IYER: Thank you for the question. So
22 in the upcoming and ongoing study, there is the

1 mandate to look at not just the dose finding but
2 also to include PK sampling and analysis that PK
3 data are sufficient quality and quantity for
4 characterization of the various population PK
5 modeling such as linearity, absorption, et cetera.
6 So there is going to be limited PK sampling in
7 patients, particularly in the U.S., who are willing
8 to participate, and also includes Canada. And all
9 patients will go on the pralatrexate-COP or
10 belinostat-CHOP at various time points, and that
11 will help us guide the decision making for the
12 Part 2. It also includes certain pharmacodynamic
13 endpoints such as H4 acetylation and DNA
14 methylation.

15 DR. VINKS: Thank you.

16 DR. CHEN: Thank you.

17 In the interest of time and schedule, we
18 will have to break here. We will be taking a
19 10-minute break. We have noted the panel members
20 who have outstanding questions, and if there is
21 time at the discussion section, we will certainly
22 circle back to your questions at that point. So we

1 plan to restart in 10 minutes from now, which would
2 be at 10:50. Thank you.

3 (Whereupon, at 11:39 a.m., a recess was
4 taken, and meeting resumed at 11:50 a.m.)

5 **Open Public Hearing**

6 DR. CHEN: We will now begin the open public
7 hearing session.

8 Both the FDA and the public believe in a
9 transparent process for information gathering and
10 decision making. To ensure such transparency at
11 the open public hearing session of the advisory
12 committee meeting, FDA believes that it is
13 important to understand the context of an
14 individual's presentation.

15 For this reason, FDA encourages you, the
16 open public hearing speaker, at the beginning of
17 your written or oral statement to advise the
18 committee of any financial relationship that you
19 may have with the applicant. For example, this
20 financial information may include the applicant's
21 payment of your travel, lodging, or other expenses
22 in connection with your participation in the

1 meeting. Likewise, FDA encourages you, at the
2 beginning of your statement, to advise the
3 committee if you do not have any such financial
4 relationships. If you choose not to address this
5 issue of financial relationships at the beginning
6 of your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them. That said, in many instances
13 and for many topics, there will be a variety of
14 opinions.

15 One of our goals for today is for this open
16 public hearing to be conducted in a fair and open
17 way, where every participant is listened to
18 carefully and treated with dignity, courtesy, and
19 respect. Therefore, please speak only when
20 recognized by the chair. Thank you for your
21 cooperation.

22 Speaker number 1, please unmute and turn on

1 your webcam. Will speaker number 1 begin and
2 introduce yourself? And please state your name and
3 any organization you're representing for the
4 record.

5 MS. PHILLIPS: Hello. I'm Sophia Phillips,
6 a health policy associate at the National Center
7 for Health Research. Our scientists and health
8 professionals scrutinize the safety and
9 effectiveness of medical products, and we don't
10 accept funding from companies that make those
11 products; therefore, I have no conflicts of
12 interest.

13 We thank all of you for participating in
14 this meeting to publicly scrutinize the dangling
15 accelerated approvals for pralatrexate and
16 belinostat. Confirmatory trials for drugs granted
17 accelerated approval are too often delayed for
18 years, and are later shown to fail to demonstrate
19 meaningful patient-centered outcomes. Meanwhile,
20 the drug remains on the market and patients are
21 paying for drugs that are not proven to benefit
22 them. This is particularly unacceptable for these

1 two drugs, for which the sponsor does not expect to
2 complete confirmatory trials for seven more years,
3 in addition to the 14 years and 9 years that the
4 drugs have already been on the market without clear
5 evidence of a clinically meaningful benefit.

6 Oncology drugs account for more than
7 60 percent of all accelerated approval drugs, and
8 we can all agree that the public has a right to
9 question the lack of evidence regarding the
10 benefits of these drugs. Research indicates that
11 as of 2019, only 20 percent of cancer drug
12 indications approved through the accelerated
13 approval pathway, from 1992 to 2017, demonstrated
14 improvements in patients' overall survival based on
15 their confirmatory trial data. Our center's
16 research also found no evidence of improved quality
17 of life in most confirmatory trials.

18 In an analysis of 100 accelerated approval
19 confirmatory trials completed or due between 2012
20 and 2021, more than half were past their expected
21 completion deadline set by the FDA. Both
22 pralatrexate and belinostat fall in that category.

1 As I previously stated, it has been more than
2 14 years and more than 9 years since they were
3 awarded accelerated approval, respectively.

4 The FDA has stated that an appropriate
5 target completion date for oncology products would
6 ideally be no later than 2 to 4 years after
7 accelerated approval is granted. Further, we agree
8 with FDA that the reasons provided by the sponsor
9 for the delay of these trials are not sufficient
10 justification for these very long delays, so why
11 are these products allowed to remain on the market?

12 This delay is not fair to patients, most of
13 who assume these drugs are proven to have benefits
14 that outweigh the risks. Unfortunately, in
15 addition to clinical uncertainty about the benefits
16 of these drugs, they often have serious adverse
17 effects that result in high rates of
18 discontinuation. For example, nearly 50 percent of
19 patients taking belinostat experienced a serious
20 adverse event. This includes the 10 percent that
21 experienced cardiac-related adverse effects and
22 2 patients with cardiac failure. In addition to

1 neither drug being well tolerated by patients,
2 these drugs are very expensive. Each cost hundreds
3 of thousands of dollars annually, adding to the
4 overall burden faced by cancer patients and
5 taxpayers.

6 There are significant concerns in the
7 accelerated approval program that must be
8 addressed: the long delay before confirmatory
9 trials are completed and made public; the lack of
10 meaningful clinical data provided in confirmatory
11 trials, which often continue to rely on unproven
12 surrogate endpoints; and additional delays that
13 keep drugs on the market even when confirmatory
14 trials failed to confirm that the drugs are safe
15 and effective. As a result of these issues,
16 patients have been harmed by unproven products
17 remaining on the market.

18 We urge the FDA to hold this sponsor and
19 others accountable for their failure to conduct
20 confirmatory trials in a reasonable timeline.
21 While we support the new effort by the FDA to
22 require confirmatory trials to start prior to

1 granting an accelerated approval, that does not
2 affect these two drugs, and in the future, it does
3 not provide an incentive for sponsors to complete
4 confirmatory trials in a timely manner. As soon as
5 a drug is approved, many study participants may
6 drop out of trials due to fear of being placed in
7 the placebo group unless the sponsor acts quickly
8 to complete their trial.

9 In conclusion, when sponsors exploit the
10 flexibilities granted by the FDA, as happened with
11 this sponsor, we believe it necessary for FDA to
12 rescind approval until a trial is completed that
13 confirms meaningful clinical benefits. Thank you.

14 DR. CHEN: Thank you.

15 For the record, speaker 2 has confirmed that
16 they will not be able to participate as a speaker
17 today, so we will proceed to speaker number 3.

18 Speaker number 3, please unmute yourself and
19 turn on your webcam. Will speaker number 3 begin
20 and introduce yourself? Please state your name and
21 any organization you are representing for the
22 record.

1 DR. GALASSO: Thank you to the committee for
2 allowing me to make this statement on behalf of
3 Dr. Steven Horowitz. My name is Natasha Galasso,
4 and I'm the administrative director of the T-Cell
5 Lymphoma Program at Memorial Sloan Kettering Cancer
6 Center. Dr. Horowitz writes:

7 "I'm a medical oncologist and clinical
8 translational investigator with a focus on T-cell
9 lymphomas. My current title is Member at Memorial
10 Sloan Kettering Cancer Center and Attending
11 Physician at Memorial Hospital; Professor of
12 Medicine at Weill Cornell Medical College; and I
13 hold the incumbent known as Garden Foundation Chair
14 at Memorial Sloan Kettering.

15 "At MSK, I direct the T-cell Lymphoma
16 Program. I'm the founding and current chair of the
17 NCCN committees on T-cell and cutaneous lymphomas.
18 My practice is divided between the care of people
19 with T-cell and/or cutaneous lymphomas and
20 patient-based research, primarily focusing on
21 identifying and studying new therapies for people
22 with T-cell and cutaneous lymphomas. From these

1 roles and my experiences, I can attest that we
2 desperately need new and better therapies for
3 people with T-cell lymphomas.

4 "There are significant hurdles in developing
5 therapies for rare diseases, including access to
6 compounds, patient access, and access to funding.
7 The accelerated approval program has benefited our
8 patients and the physicians who care for them by
9 allowing early access to effective therapies well
10 in advance of a completed confirmatory trial. Many
11 of our past and current patients have benefited
12 from, and continue to benefit from, access to
13 therapies such as romidepsin, belinostat, and
14 pralatrexate, that gained approval through the
15 accelerated approval program.

16 "The confirmatory trial process has been
17 much less successful. We lost the label for
18 romidepsin for people with relapsed and refractory
19 T-cell lymphoma due to a negative confirmatory
20 trial that tested romidepsin in untreated patients
21 in combination with chemotherapy. At the time of
22 the trial design, those investigators didn't know

1 that the most significant benefits for romidepsin
2 are in people with certain subtypes of T-cell
3 lymphoma, those with angioimmunoblastic or
4 follicular helper T-cell lymphomas, as our group
5 subsequently identified. The end results showed no
6 benefit for the primary endpoint of
7 progression-free survival overall, but a
8 statistically significant benefit for the subset of
9 those with follicular helper T-cell lymphomas.

10 "Nonetheless, the overall study was negative
11 and the label was withdrawn, not due to any new
12 information diminishing the efficacy or questioning
13 the safety of romidepsin in the originally approved
14 population of relapsed and refractory patients, but
15 rather because the biology of the different types
16 of T-cell lymphomas that impacted the efficacy of
17 romidepsin was not known until later. However,
18 there are rarely second chances to do a large study
19 in a rare disease.

20 "The story of belinostat and pralatrexate is
21 less of an, if I only knew then what I know now
22 situation. By all measures, the confirmatory

1 studies were never moved forward with the
2 commitment and resources needed to successfully
3 complete in a timely fashion. I'm not here to
4 justify, explain, or defend the choices made by the
5 sponsors of new drugs, but to speak for the
6 patients that I care for every day and the
7 physicians who treat those with rare diseases.

8 "We need additional therapies for these
9 patients, not only new treatments to be given
10 instead of the current treatments, as would be
11 determined by a randomized study. We need new
12 treatments in addition to current treatments.
13 These treatments can be effective in reducing and
14 controlling often life-threatening diseases but
15 they are not cures. When they fail to control
16 disease or lose their efficacy over time, we need a
17 next line of therapy, and a next, and a next to
18 keep our patients safe.

19 "Equipoise would dictate that the needs of
20 patients and the clinicians who care for them be
21 considered in addition to assessing the actions of
22 the sponsors in fulfilling their obligations. My

1 hope for the future is that we and others who are
2 committed to this field will find many new
3 treatments for our patients; however, right now
4 T-cell lymphomas are not diseases for which we have
5 multiple effective therapies that patients and
6 physicians can afford to lose another or another.
7 I ask that the cost to patients of regulatory
8 actions in terms of available options, or lack
9 thereof, be considered alongside the importance of
10 following a rigorous regulatory process. Thank
11 you."

12 DR. CHEN: Thank you.

13 Speaker number 4, please unmute and turn on
14 your webcam. Will you begin and introduce
15 yourself? And please state your name and any
16 organization you are representing for the record.

17 MR. TALAMANTES: Hello. My name is Sonny
18 Talamantes, and I was diagnosed with peripheral
19 T-cell lymphoma in April 2017. That year, I
20 endured a traditional chemo process and a bone
21 marrow transplant using my own cells because I
22 didn't have matching donors. In October 2018, the

1 cancer returned. I was told I had two options:
2 chemo, which would likely result in a life span of
3 approximately 24 months, or immune therapies with
4 no guarantees. I selected the immune therapies.

5 I tried several types of therapies, none of
6 which placed the cancer into remission. In 2021, I
7 was offered belindodac [ph] [sic - belinostat], and
8 with a bone marrow transplant, belinostat was a
9 possible bridge for a cure or some other treatment
10 options, which at that point did not exist. A few
11 months later, my disease went into remission. At
12 the time of this statement, I'd been in remission
13 for over 2 years.

14 Unfortunately, I developed a secondary
15 cancer of leukemia; however, a new search was done
16 for a bone marrow donor finding a match.
17 Belinostat was in fact the bridge I had hoped it
18 would become. Today, I make this statement from
19 the City of Hope, where I'm in the bone marrow
20 transplant process. That is all I have to say, and
21 thank you for your time.

22 DR. CHEN: Thank you.

1 Speaker number 5, please unmute and turn on
2 your webcam. Will speaker number 5 begin and
3 introduce yourself? Please state your name and any
4 organization you're representing for the record.

5 DR. FOSS: Good morning. I'm Dr. Francine
6 Foss, professor of medicine in hematology and cell
7 therapy at the Yale University School of Medicine
8 and head of the T-Cell Lymphoma Program. I have no
9 conflict with the sponsor with regard to my
10 presentation at this meeting.

11 I would like to thank the committee for the
12 opportunity to speak. I'm voluntarily here today
13 to represent the interests of patients with T-cell
14 lymphoma. I've cared for patients with T-cell
15 lymphoma for over 30 years, and as a translational
16 researcher, I've participated in the registrational
17 clinical trials for all of the drugs with full or
18 conditional approval for T-cell lymphoma. Today,
19 I'd like to present a real-world perspective on
20 treatment patterns for T-cell lymphoma.

21 While much has changed over the 30 years of
22 my practice, T-cell lymphoma remains an unmet

1 medical need. CHOP and CHOP-like chemotherapy
2 regimens are the standard in the front line, but
3 despite a reasonable response rate, most patients
4 unfortunately relapse, and less than half of the
5 patients, with the exception of ALCL, are alive at
6 five years. So what are the prospects for cure or
7 long-term remission for our patients in the real
8 world?

9 Several years ago, the community of PTCL
10 oncologists conducted a prospective registry study
11 to examine treatments and outcomes for PTCL in the
12 United States. This was called the Complete
13 Registry and enrolled 500 patients. A similar
14 prospective global registry study called the T-Cell
15 Project was also conducted around the world and
16 enrolled 1400 patients. Both registries reported
17 similar poor outcomes for PTCL patients, with most
18 relapsing after front-line therapy.

19 One poignant finding from these registries
20 was that while the standard of care for patients
21 who achieved a complete remission in the front line
22 was to undergo consolidation autologous stem-cell

1 transplant, only 25 percent of patients with PTCL
2 actually had a transplant. The other 75 percent,
3 or the vast majority of our patients, have little
4 or no chance of cure and remain in need of novel
5 therapies.

6 For these relapsed and refractory patients,
7 available novel agents, including belinostat,
8 pralatrexate, romidepsin, and brentuximab vedotin,
9 have shown activity and are meaningful therapeutic
10 options for many. In fact, when we reviewed the
11 relapsed and refractory patients in our complete
12 registry, there was a surprising finding that those
13 patients treated with single agents in the relapsed
14 setting had a higher complete response rate than
15 those who received standard chemotherapy,
16 41 percent versus 19 percent. And there was also
17 increased median overall survival of 38 versus
18 17 months, favoring those patients who received the
19 novel agents.

20 A worldwide retrospective study of over
21 900 patients with PTCL has recently been completed,
22 and that will be presented by Dr. Salvia Jain from

1 Mass General at the upcoming American Society of
2 Hematology meeting. In this study, 35 percent of
3 the relapsed patients received novel agents rather
4 than combination chemotherapy, and the results
5 showed a statistically significant,
6 progression-free survival advantage with the use of
7 these novel agents, even in those patients who did
8 not undergo a stem-cell transplant and irrespective
9 of other important prognostic factors such as
10 primary refractory status or lymphoma subtype.

11 Another finding from both registries was
12 that single agents were as effective, or in some
13 cases more effective, to put patients into
14 meaningful remissions and thus bridge them to
15 potentially curative stem-cell transplant. While
16 these are clearly observational and not controlled
17 clinical trials, the data from these real-world
18 studies show that single novel agents are often
19 used in lieu of combination chemotherapy in the
20 relapsed and refractory setting and have resulted
21 in similar, if not better, outcomes in terms of
22 progression-free survival and overall survival,

1 thus defining in the real world the role that these
2 single agents may play in our treatment algorithms
3 for PTCL.

4 So how do these novel agents such as
5 belinostat and pralatrexate benefit our patients?
6 In the words of Rob, one of my long-term survivors
7 who has remained on one of these agents now for
8 several years, quote, "It is critical that patients
9 with PTCL who have a dismal outcome have access to
10 drugs which have demonstrated activity and that
11 these drugs remain available to patients. Patients
12 like myself often fail one or more agents and need
13 these additional options," unquote.

14 Having these drugs available allows T-cell
15 lymphoma physicians like myself to provide options
16 to a large number of patients, especially those who
17 are older, have comorbidities, or otherwise are not
18 candidates for aggressive strategies or stem cell
19 transplant.

20 DR. CHEN: Dr. Foss, I'm sorry to interrupt,
21 but could you please wrap up? You're out of time.

22 DR. FOSS: Yes, one more sentence.

1 I speak for the community of PTCL
2 oncologists in support of the ongoing randomized
3 trials to confirm the activity of these novel
4 agents and hope that they continue to be available
5 to our patients. Thank you for the opportunity to
6 speak.

7 DR. CHEN: Thank you.

8 Speaker number 6, please unmute and turn on
9 your webcam. Will you begin and introduce
10 yourself? Please state your name and any
11 organization you are representing for the record.

12 DR. HAVERKOS: Thank you. My name is Brad
13 Haverkos. I'm an associate professor at the
14 University of Colorado. My clinical and research
15 focus is on T-cell lymphomas. I've previously
16 served as an advisor to Acrotech but am voluntarily
17 here today.

18 As you all know, PTCLs are a rare
19 heterogeneous group of malignancies with generally
20 poor outcomes. Five-year survival for most
21 subtypes with current therapies is around
22 20 percent, and there are currently only three

1 FDA-approved drugs for relapsed PTCLs: belinostat,
2 pralatrexate, and brentuximab, and the latter drug,
3 brentuximab, is now being used in front-line
4 setting, so there are effectively only two approved
5 considerations in the relapsed setting.

6 I want to re-highlight two important points
7 to keep in mind as it pertains to both pralatrexate
8 and belinostat. First, given the recent improved
9 understandings of the molecular underpinnings of
10 PTCLs, we're beginning to understand that depending
11 on the specific PTCL subtype, responses are
12 different between drugs. As an example, in the
13 initial belinostat, single-arm, BELIEF trial, in
14 relapsed patients, there seemed to be better
15 responses in the specific subtype of T-cell
16 lymphoma called angioimmunoblastic T-cell lymphoma.
17 The subsequent accumulated data from clinical
18 practice and retrospective studies show that this
19 subtype of patients, which fall under the more
20 broad heading of peripheral T-cell lymphoma with a
21 follicular helper phenotype, do indeed respond
22 better to histone deacetylase inhibitors. So while

1 there is modest overall response rate in PFS in the
2 BELIEF trial as a whole, in clinical practice, most
3 physicians favor using HDAC inhibition such as
4 belinostat and relapsed PTCLs with a follicular
5 helper phenotype, where there is a higher overall
6 response rate and more meaningful duration of
7 response in this subset.

8 Thus, I think there is little question in
9 the minds of physicians who have focused and treat
10 T-cell lymphoma about the safety and efficacy of
11 belinostat in the relapsed setting, and with
12 regards to the safety toxicity of pralatrexate,
13 physicians have learned how to mitigate the GI
14 toxicity that was observed in the initial clinical
15 trial. Given this, as you all have acknowledged,
16 belinostat and pralatrexate remain key treatment
17 options for relapsed PTCLs.

18 The second point that I'd like to make, as
19 you recognized, the biggest challenge and
20 controversy surrounds the confirmatory trial for
21 pralatrexate and belinostat. While certainly a
22 confirmatory trial is feasible, there are likely to

1 be challenges to enrollment in the confirmatory
2 trial. Namely, given the heterogeneity of PTCL and
3 more favorable responses in specific subsets of
4 PTCL, such as the patients with the follicular
5 helper phenotype, there may be challenges to
6 accrual given the potential, due to lack of
7 enthusiasm, of enrolling to the belinostat arm for
8 non-PTCL follicular helper subtypes.

9 While the future of PTCL treatment will
10 almost certainly involve combinatorial treatment as
11 proposed in the current confirmatory trial,
12 comparing belinostat plus traditional chemo, versus
13 pralatrexate plus chemo, versus chemo alone, an
14 additional enrollment barrier may surround the lack
15 of excitement to potentially enroll to a
16 standard-of-care, chemo-alone arm. As reviewed by
17 Dr. O'Connor, this lack of excitement for chemo
18 alone is due to the historically poor outcomes of
19 the chemo-alone, CHOP-like regimen, and this data
20 has resulted in questions on what exactly is the
21 best upfront treatment approach for PTCLs. And for
22 this reason, NCCN guidelines, for which I'm a

1 writing member, list clinical trial and multiple
2 different CHOP-like regimens as potential front-
3 line treatment options. Thank you for your
4 attention.

5 DR. CHEN: Thank you.

6 We will now move to speaker number 7.
7 Speaker 7, please unmute and turn on your webcam.
8 Will you begin and introduce yourself? Please
9 state your name and any organization you are
10 representing for the record.

11 DR. RENEAU: Good afternoon. My name is
12 John Reneau. I'm an assistant professor of
13 medicine at The Ohio State University. My clinical
14 practice and my research also focus on treatment of
15 T-cell lymphomas and the development of novel
16 therapies for these diseases. In the interest of
17 transparency, I have served as an advisor to
18 Acrotech in the past, but I am voluntarily here
19 today.

20 So thank you for the opportunity to speak to
21 you today regarding my clinical experience using
22 belinostat and pralatrexate and to express to you

1 my opinion that maintaining access to these drugs
2 is important in the treatment of relapsed PTCL, at
3 least currently. The epidemiology, prognosis, and
4 treatment landscape of PTCL has been well outlined
5 today by Dr. O'Connor, Dr. Foss, and Dr. Haverkos,
6 as well as others, but I would briefly like to
7 highlight and emphasize several important points.

8 The first is that despite the rarity of
9 PTCL, there's a significant amount of biological
10 heterogeneity under the umbrella of PTCL, leading
11 to what is essentially more than 30 individual
12 orphan diseases that we have historically all
13 lumped together. As Dr. Haverkos was stating,
14 there are likely subset-specific responses to
15 various drugs that have been difficult to tease out
16 in prior clinical trials, as this is relatively new
17 and developing knowledge and because these previous
18 trials were not adequately powered for these
19 analyses.

20 Secondly, I would really like to emphasize,
21 and I think it's been emphasized well, that
22 patients with these diseases have very poor

1 outcomes. With a 5-year survival of about 30 to
2 40 percent for most subsets, it's a very deadly
3 disease. Primary refractory disease is very
4 common. We actually recently published data that,
5 at least in some subsets of PTCL, CHOP-based front-
6 line chemotherapy is no better than best supportive
7 care with regards to overall survival, so it's
8 quite revealing and I think very appropriate, in my
9 opinion, that even in the front-line setting,
10 clinical trial participation is the preferred
11 treatment for the NCCN guidelines for these
12 diseases.

13 For those that that do receive front-line
14 chemotherapy, over 75 percent will relapse at some
15 point, many of them with very aggressive disease
16 within the first year of completing front-line
17 chemotherapy, and in that setting, the median
18 overall survival is about 6 months when using
19 cytotoxic chemotherapy, and that's despite the
20 relatively high reported response rates to
21 cytotoxic chemotherapy. The issue is that those
22 responses are very much lacking in durability. So

1 this highlights the very valid point made earlier
2 this morning by Dr. Mehta regarding the lack of
3 correlation at times between surrogate endpoints
4 and overall survival. So given the poor outcomes
5 with cytotoxic chemotherapy, not only in the front-
6 line setting, but also in the relapsed setting,
7 four novel agents have, at least at one time, been
8 made available for use in this patient population
9 with relapsed or refractory PTCL.

10 These agents have already been discussed,
11 but I would like to emphasize a couple of points
12 about these agents. Most importantly, that only
13 two really functionally currently remain for use on
14 the market currently. Both of them, belinostat and
15 pralatrexate, are the subject of today's ODAC
16 meeting. One drug, brentuximab, it's approved only
17 in the relapsed setting for the ALCL subset;
18 however, the role of this agent in the treatment of
19 relapsed disease is unclear at this time since in
20 the post ECHELON-2 era, the vast majority of
21 patients should have received this agent in the
22 front-line setting, and it's unclear if retreatment

1 in the relapsed setting with this agent will be
2 beneficial. The other, romidepsin, as has already
3 been discussed, had its marketing authorization
4 removed after a failed confirmatory trial. So
5 really, for this very deadly and chemo refractory
6 disease, we're left with two drugs, pralatrexate
7 and belinostat, which we're discussing today.

8 So there's been a lot of data presented
9 regarding the efficacy of these agents. I'm not
10 going to stand here and tell you that I believe
11 that these are the answer to treatment for PTCL,
12 the end-all and be-all. Clearly, they leave a lot
13 to be desired with regards to response rates;
14 however, I think it would be very safe to say that
15 at least a subset of patients -- and some of that
16 may be PTCL subtype-specific -- clearly a subset of
17 patients benefit from these drugs. Compared to
18 traditional cytotoxic chemotherapy, which would be
19 the only alternative in the absence of these drugs,
20 I think the most notable outcome to me is the
21 reported duration of response that we can see with
22 these agents --

1 DR. CHEN: I'm sorry --

2 DR. RENEAU: -- even though they may have a
3 low response rate.

4 DR. CHEN: -- to interrupt, but you are
5 running over time at this point. Could you please
6 wrap up?

7 DR. RENEAU: Thank you.

8 So I think I'll just end by emphasizing that
9 in my academic clinical practice, I have the luxury
10 of having many clinical trials available for
11 patients with relapsed PTCL; however, the vast
12 majority of patients for various reasons are unable
13 to participate in those. So even in my own
14 academic clinical practice, I would find it very
15 difficult to treat these patients in the absence of
16 these drugs, and my worry is even greater on behalf
17 of the many community oncologists that I
18 collaborate with, who in the absence of these drugs
19 would really be left with little to nothing to
20 treat these patients with. Thank you for my time.

21 DR. CHEN: Thank you.

22 Moving on, speaker 8, please unmute and turn

1 on your webcam. Will you begin and introduce
2 yourself? And please state your name and any
3 organization you're representing for the record.

4 DR. MATHEW: Hello. My name is Reuben
5 Mathew. I'm a resident physician and combined
6 internal medicine and pediatrics in New Orleans,
7 and I'm a member of the FDA Task Force for Doctors
8 for America. I receive no funding from any
9 pharmaceutical or medical device industries.

10 My patients routinely have very complex
11 medical needs without the resources to combat them.
12 As a practicing clinician often working up to
13 80 hours a week in the hospital or clinic, I have
14 limited time to secure resources outside of what is
15 readily available. These time constraints are not
16 unique to residents, but also practicing physicians
17 who have limited bandwidth to delve into the
18 primary literature to determine if an FDA-approved
19 treatment is safe and effective for their patient.

20 We rely heavily on the FDA in conducting a
21 robust review process and awarding approval to
22 drugs as the gold standard for safety and efficacy.

1 If the FDA approves something, I trust it; however,
2 it concerns me that there continues to be a
3 significant uncertainty for several treatments
4 granted accelerated approval and their clinical
5 benefit well after their initial approval due to
6 delays in completion of the required postmarket
7 studies. Manufacturers and being awarded
8 accelerated approval are making a promise to the
9 FDA, to clinicians like me, and my patients that
10 they will in a timely manner provide evidence that
11 the drugs granted early approval do indeed work as
12 predicted. Not completing these studies in a
13 timely manner burdens patients by prolonging
14 uncertainty around unproven treatments.

15 My patients deserve treatments that are
16 truly safe, that work, and that they can afford,
17 and for every day that these drugs are on the
18 market with incomplete data, they will be
19 prescribed and cause potential medical and
20 financial harm. Pralatrexate and belinostat are
21 among some of the most delinquent and expensive
22 products with the required postmarket studies, more

1 than doubling the 3 to 4 years goal of completion
2 of oncologic studies.

3 Until the FDA can meaningfully enforce these
4 requirements on postmarketing requirements, I'm
5 worried that manufacturers will continue to
6 de-prioritize and delay the necessary confirmatory
7 trials. Simply put, only medications that are
8 proven to work should be available. I ask that the
9 FDA withdraw these two drugs from the market to
10 protect patients at risk of continued clinical and
11 financial harms, as well as to incentivize the
12 manufacturers, if they truly believe these drugs
13 are clinically and meaningfully effective, to
14 complete their postmarketing studies. Accelerated
15 approval is not traditional approval, and we
16 support FDA's efforts to ensure that the promise of
17 confirmed clinical benefit made by manufacturers
18 when receiving accelerated approval is kept to
19 these vulnerable patients. Thank you.

20 DR. CHEN: Thank you.

21 We will now move on to speaker number 9.
22 Speaker 9, please unmute and turn on your webcam.

1 Will you begin and introduce yourself? Please
2 state your name and any organization you are
3 representing for the record.

4 DR. KROMMES: Good morning. My name is
5 Janet Krommes, and I'm speaking to you about
6 Folutyn, which is the brand name for pralatrexate.
7 I am rather unique in the group of people who are
8 speaking today. I'm a clinician. I have
9 long-standing experience in rheumatology, but I
10 have no specific expertise in studies or
11 statistical analysis; however, I have devoted my
12 career to helping people make the best treatment
13 choices, and it is from that perspective that I'd
14 like to address this today.

15 I do represent Doctors for America, I am on
16 their FDA advisory committee, and I am employed by
17 a private rheumatology practice. I have absolutely
18 no connections with any pharmaceutical industries.
19 I learned early in my career that a bad drug can do
20 well with good marketing, and so I do not confer
21 with pharmaceutical representatives in any shape or
22 form.

1 I would like to point out that the landscape
2 between 2009 and 2023 is vastly different.
3 Pralatrexate is an analog of methotrexate, which is
4 a drug which rheumatologists are well familiar.
5 It's more potent, its intracellular concentration
6 is at least 14 times that in methotrexate, and like
7 methotrexate, it shows promise in the treatment of
8 a spectrum of malignancies, but in 2009 was able to
9 demonstrate a special class effect for end-stage
10 PTCL. And on the basis of a study which was
11 phase 2 and which included surrogate markers for
12 potential efficacy, this drug was granted
13 accelerated approval, and I think, as any clinician
14 would state, when you have no options, any
15 potential option is justifiable in use.

16 But 2023 has brought us a very different
17 landscape. It's been 14 years without adequate
18 data to support efficacy and safety of this drug;
19 multiple novel agents have been approved in phase 2
20 studies; we now understand the impact of individual
21 subtypes on both therapeutic options, as well as
22 therapeutic responses; and there have been paradigm

1 shifts in the treatment of end-stage PTCL.

2 This slide is simply to introduce in a
3 written form what has already been said by numerous
4 speakers. There are limited drugs that are
5 approved for end-stage PTCL, and I will point out
6 that I do not think that the data on pralatrexate
7 is outstanding in comparison to other agents, and
8 that clearly more understanding is needed before we
9 know how to use this drug. This slide does not
10 contain other drugs which are currently under
11 investigation and which also have encouraging early
12 results.

13 A criticism of the PROpel study upon which
14 accelerated approval was granted has been
15 criticized because the rate was largely different
16 by partial responders. We understand now, in a way
17 that we didn't in 2009, how little clinical
18 significance this particular response can have, and
19 yet approval for this drug and use of this drug is
20 based on those numbers. Further studies have not
21 really added to our understanding. There have been
22 small phase 2 datasets that are available that we

1 can evaluate, but to me as a clinician, the numbers
2 look comparable, and we have no comparator data, no
3 true safety data, and we still don't understand
4 what the risks and benefits of this drug are in
5 clinical practice; and yet, certainly this drug
6 will be used without complete understanding.

7 The real-world efficacy is something that's
8 very important to me. I was practicing in the late
9 '80s and early '90s when zidovudine was approved,
10 and like zidovudine, this drug has an astronomical
11 cost, and in the real world, that has an impact on
12 what we can prescribe and how we prescribe. And
13 this small study from Korea demonstrates that
14 real-world physicians, when faced with the
15 astronomical costs and uncertain benefits, may make
16 their own decisions in terms of how they use this
17 drug. In this study, this resulted in a
18 significant drop in the response rate, and yet the
19 toxicity was similar to that seen in the PROpel
20 study.

21 In terms of safety, as a rheumatologist with
22 very deep use of methotrexate, albeit at low doses

1 where we have less toxicity, what struck me here
2 was the metapneumonitis seen in this small PROpel
3 study. In rheumatology, we know risk factors for
4 pneumonitis with use of methotrexate; that is male
5 gender and diabetes. In this setting, we have no
6 idea what the risk factors are for the use of
7 pralatrexate. Even more concerning is that
8 postmarketing studies have shown severe
9 dermatologic reactions, and these reactions can be
10 idiosyncratic, they can occur after one dose --

11 DR. CHEN: Excuse me. We are running over
12 time for your section. Can you please wrap up?

13 DR. KROMMES: -- I sure will -- and that
14 could be fatal. And we could skip this slide
15 because it doesn't help.

16 If I may skip to the conclusion, there is
17 clearly an unmet need for treatment of end-stage
18 PTCL, but there's been a change in standard of
19 care, a change in our understanding, and to
20 prescribe a drug without confirmatory studies,
21 without understanding true risks and benefits, is
22 to put patients in harm's way. And I would

1 strongly urge the FDA to withdraw consideration of
2 this drug without confirmatory studies. Thank you
3 so much for your time and attention.

4 **Clarifying Questions (continued)**

5 DR. CHEN: Thank you for the public
6 comments. The open public hearing portion of this
7 meeting has now concluded and we will no longer
8 take further comments from the audience.

9 As we have additional time, we will now take
10 remaining clarifying questions to the FDA and the
11 sponsor. Please use the raise-hand icon to
12 indicate that you have a question and remember to
13 state your name for the record before you speak and
14 direct your question to a specific presenter, if
15 you can. Please remember to put your hand down
16 after you have asked your question.

17 If you wish for a specific slide to be
18 displayed, please let us know the slide number, if
19 possible. As a gentle reminder, it would be
20 helpful to acknowledge the end of your question
21 with a thank you and end of your follow-up question
22 with, "That is all for my questions," so we can

1 move on to the next panel member.

2 I would like to begin the questioning with a
3 comment to the sponsor. Given that PTCL is such a
4 heterogeneous disease and the data that's been out
5 there of HDAC inhibitors having preferential
6 activity in AITL and T-follicular subtypes, as
7 multiple speakers have noted, and the negative
8 results of the romidepsin confirmatory study and
9 unselected PTCL, would it not make more sense to
10 restrict the belinostat eligibility?

11 MR. MINGMONGKOL: Sure. Let me turn this
12 over to Dr. Iyer who can talk about the design of
13 the trial.

14 Dr. Iyer?

15 DR. IYER: Thank you, Dr. Chen, for this
16 question. It's a very important question, and
17 we've learned a lot from the romi-CHOP randomized
18 study. I think at least in the the phase 3 PMR,
19 the key inclusion is only a few subtypes that will
20 be included, including the PTCL not otherwise
21 specified, and angioimmunoblastic T-cell,
22 follicular helper phenotype, and others, including

1 extranodal NK T-cell. The leukemic and the
2 cutaneous varieties are excluded.

3 In some ways, I think even though the Part 1
4 and Part 2 are designed -- Part 1 for dose
5 optimization -- I think it's a good question for us
6 to revisit at the time of the data that's available
7 at the end of two years and perhaps make some of
8 the subtypes more stringent based on the available
9 data. Thank you.

10 MR. MINGMONGKOL: And --

11 DR. CHEN: Thank you. Sorry. Go on.

12 MR. MINGMONGKOL: Well, you also had
13 mentioned romidepsin versus belinostat, and I just
14 want to make sure that the committee understands
15 the difference between the products. They are not
16 the same even though they're both HDAC inhibitors,
17 so Dr. O'Connor, if you could comment on that.

18 DR. O'CONNOR: Thank you. I actually want
19 to comment more broadly on the observation about
20 HDAC inhibitors and angioimmunoblastic T-cell
21 lymphoma and the T follicular.

22 I think this data, which is suggestive, none

1 of it has been randomized. Much of it is small
2 subset analysis and much of it is pooled, and
3 there's a lot of variability. I think what the
4 data suggest is that there's a modest benefit, and
5 we need to explore other strategies in these
6 particular subtypes. The HDAC inhibitors have been
7 associated with small improvements in the
8 progression-free survival in angioimmunoblastic and
9 T follicular, but probably some of the best data,
10 and yet another reason I believe these drugs have
11 merit to stay around, is combinatorial epigenetic
12 therapies producing really important overall
13 responses and improvements in progression-free
14 survival.

15 I think the ability to allow the field to
16 continue various clinical research endeavors to
17 explore how to combine these novel drugs,
18 epigenetic predicated or not, I think affords yet
19 another parallel strategy to be considered as we
20 continue to try to define optimal treatments for
21 not just that one subtype but all subtypes of PTCL.

22 DR. CHEN: Thank you, Dr. O'Connor.

1 Dr. Thanarajasingam?

2 DR. THANARAJASINGAM: Thank you so much. I
3 am a clinician first, so I echo a lot of the
4 comments that have been mentioned by my panel
5 members and the speakers in the open public
6 comment. There's no question we need more options
7 to treat patients with this disease and that each
8 option is meaningful, but also that the FDA bears
9 this public health onus of confirming efficacy and
10 guarding the safety, and the confirmatory trial
11 needs to get done.

12 I have some concerns to direct at Acrotech
13 about the feasibility and accrual of the recently
14 initiated confirmatory trial, even with the
15 extended timeline that's been proposed.

16 Dr. O'Connor made a fair argument about the
17 necessity of anthracyclines in front-line
18 treatment, but I think there are still differences
19 of thoughts about that, and I'm concerned that not
20 all lymphoma clinicians, particularly colleagues I
21 work with in the community, would be enthusiastic
22 to put patients on a study without doxycycline in

1 one arm.

2 Additionally, there are studies that suggest
3 an uncertain relationship between CD30 expression
4 and the benefit of BV-CHP, the ECHELON-2 regimen.
5 I think we're seeing more use of BV-CHP in front-
6 line patients with any level of CD30 expression,
7 whether that's right or not as a separate
8 discussion. CD30 expression varies across PTCL,
9 but a fair estimate is 30 to 50 percent, so
10 clinicians may not accrue those patients on this
11 trial.

12 So I think there are legitimate concerns
13 about the feasibility, and even if we get this done
14 and the front-line study is negative, I will still
15 wonder is there a role as a single-agent therapy,
16 as many of my colleagues have mentioned, for some
17 PTCL patients or some specific PTCL histologies in
18 the relapsed/refractory setting. And to give these
19 agents their best chance, can we hedge our bets and
20 also complete a smaller study in
21 relapsed/refractory PTCL that would be something
22 that we could design to be attractive to patients

1 and clinicians for enrollment with a dose-finding
2 component and a part 2 with a PFS endpoint? This
3 would give an opportunity to define the optimal
4 dose in the relapsed/refractory population and also
5 complete an additional confirmatory study in the
6 population where you can get a quicker readout on
7 survival endpoints.

8 Just one last comment that hopefully the FDA
9 can comment on just in terms of actionability and
10 what happened from here, I am concerned that we
11 cannot come back in two years and show that we
12 achieved the dose optimization study portion with
13 the 75 patients. Then the major concern is will
14 the full study results even be available in 2030.
15 We don't want to be in the same situation in 2030,
16 so around that time frame, with the FDA's
17 substantial involvement, I think the drug
18 development plan would have to be reevaluated.

19 So I'd love to give Acrotech a chance, and I
20 appreciate the insights of the disease experts on
21 that team on my comments about the feasibility and
22 accrual challenges and for the FDA to talk about

1 next steps in the future. Thank you.

2 MR. MINGMONGKOL: Sure. I think you made
3 some suggestions, and I think there's a separate
4 discussion on the suggestions that you have done to
5 speed up the timeline. But the heart of the
6 question that you've asked is really about our
7 ability to recruit and our ability to meet these
8 timelines, so what I'd love to do is invite
9 Dr. Anvekar who can talk about the things that we
10 have in place to address timely completion of these
11 trials.

12 Dr. Anvekar?

13 MR. ANVEKAR: There are two aspects to the
14 question. One is, like you rightly pointed out,
15 the Folutyn plus the COP arm. So what I would like
16 to say is that the protocol has been submitted to
17 all the sites as we mentioned, and 77 sites to date
18 have agreed to participate in the study. That is
19 what gives us the confidence; that they have
20 reviewed the protocol, the protocol has been
21 approved by the IRB, and currently it is also being
22 reviewed at the local country levels and their

1 individual requirements, as may be. So that is one
2 aspect which gives us the comfort level that the
3 protocol has been reviewed and may not be the most
4 rate limiting factor as of now.

5 Second, at the end of Part 1, we will know
6 how the data is reading out to make a more informed
7 decision about Part 2. All these data will be
8 reviewed along with the FDA to make the best
9 judgment on how we can proceed to the next step.
10 Also as the FDA mentioned, we will also want to
11 keep them updated on the progress of the study so
12 that we are not into three years down the line, and
13 then we are in the same state.

14 So we agree that we should also be giving
15 the FDA progress updates on a more frequent basis
16 so that we are tracking towards -- and if we are
17 not tracking for any reason, then at least have an
18 open conversation with them to say why it is not
19 happening. But the specific scientific aspect of
20 the FOL-COP, maybe I can ask Dr. Iyer to comment in
21 terms of from a science perspective more. I'm just
22 speaking more from a recruitment and the trial

1 completion part of it.

2 DR. IYER: Thank you for the question,
3 Dr. Thanarajasingam. This is Swami Iyer from
4 MD Anderson. The landscape of treatment is
5 changing in PTCL, and hopefully we'll have other
6 therapies, and that's everyone's wish and hope as
7 we treat patients with lymphoma. And you're right;
8 at least the brentuximab that you alluded to and
9 its applicability has to be confirmed in a study.
10 There is an ongoing study.

11 Will that change potentially in the U.S.?
12 Yes, but I think the benefit here also goes beyond
13 the U.S. and other countries where we don't have a
14 front line approved for brentuximab. I think one
15 of the ways the study is designed, as an
16 investigator and as you look into the
17 heterogeneity, is at least we have two parts, and
18 the first part will help us inform how we will
19 proceed with Part 2. You're absolutely right, and
20 in some ways this, the partition, helps us to think
21 through this very complex process, and hopefully in
22 two years, as we think through this, we will have

1 better answers for our patients. And who knows?
2 The landscape could change, and for most drug
3 approvals that are still waiting to be approved in
4 the relapsed/refractory setting, I think that's
5 probably a longer timeline as well. So we want to
6 make sure that our patients are treated and get the
7 best possible outcomes. Thank you once again.

8 DR. RICHARDSON: Hi. This is Nicholas
9 Richardson from the FDA. Is it ok to respond from
10 our side?

11 DR. CHEN: Yes, please.

12 DR. RICHARDSON: Hi. So thank you for the
13 question. I think it goes back to some really
14 important points. We've learned a lot from the
15 accelerated approval program from our experience.
16 Part of your question really goes to accrual and
17 making sure we have an updated status on that
18 accrual rate.

19 One component of that, that we had mentioned
20 and that we continue to work with the sponsor on,
21 is also the number of sites that are being
22 activated and where those sites are located. I

1 think it was mentioned, for PTCL, there is an
2 importance to ensure that there is a representative
3 population, as there's a lot of heterogeneity
4 that's been talked about quite a bit today. So in
5 addition to that accrual, I think having a
6 transparent status update on site activation and
7 where those sites are located will really help meet
8 those goals of assessing feasibility and the status
9 of that trial at that time.

10 From a regulatory perspective, as mentioned,
11 we do work with the sponsors to create milestones
12 as far as trial completion date and when the
13 results will be available. So those are milestones
14 that are agreed upon between the sponsor and the
15 FDA, and those milestones are important aspects as
16 we think about the accelerated approval program and
17 the timely verification of clinical benefit.

18 Then just the last comment is related to
19 conduct of a trial in the relapsed/refractory
20 setting. We often encourage sponsors to have a
21 comprehensive development program, as Dr. Mehta
22 mentioned, and that can include trials in different

1 treatment settings. So because of the limited
2 treatments available in the relapsed/refractory
3 setting, that is one thing that could be further
4 explored here, and the FDA would encourage that
5 because at the end of the day, we do want to move
6 the field forward. We do want to have safe and
7 effective therapies for patients.

8 DR. PAZDUR: Could I just jump in here? I
9 want to second that point and would like to have
10 this company discuss this. The development of this
11 drug, let's face it, is, for lack of a better
12 word -- not to be overcritical -- just say
13 suboptimal, and I'm being kind by using suboptimal
14 rather than other words here. I don't want to be
15 back, as you pointed out, years later with a
16 negative trial and be in the same situation.

17 Could the company address -- because this
18 drug has not been developed well -- having a more
19 robust program for the determination of clinical
20 benefit; in other words, do two clinical trials,
21 and the second one being in the relapsed/refractory
22 population? And it could be a very simple trial.

1 It could be their drug -- or their drugs,
2 plural -- against dealer's choice, so to speak,
3 whatever the physician would choose. We've seen
4 that multiple times in solid tumors, for example,
5 where there are very little effective therapies.
6 And this would not be a competing protocol to the
7 first-line study but would also be there if we have
8 problems and also lead to the confirmation of
9 benefit perhaps even more rapidly than a first-line
10 setting.

11 So what is the company's opinion on this?
12 I'd like to have a discussion on this. I think
13 it's one that is something that I'd like to hear a
14 commitment from the company on this, really,
15 because here again, no one could say that this drug
16 was developed with due diligence. No one with a
17 straight face could say this drug was developed
18 with due diligence. So we're really in a situation
19 where patients are caught in the middle here, and I
20 feel very bad for that situation and very bad for
21 the patients that they don't have this information,
22 and I really think it's up to the company to step

1 up and really develop this drug, and make sure that
2 we're not here at the year 2028, 2030, 2031, having
3 this discussion.

4 So could the company address this issue?

5 MR. MINGMONGKOL: Dr. Pazdur, is your
6 question around doing two trials --

7 DR. PAZDUR: I'll make it quite clear. Are
8 you committed to developing the drug in a
9 relapsed/refractory setting to address this issue,
10 to give us more confidence in this drug with a
11 shorter timeline?

12 MR. MINGMONGKOL: Sure. Let me turn that
13 over to our president, Dr. Anvekar.

14 MR. ANVEKAR: So as of now, at this very
15 stage, I would say we are just looking into it. We
16 have looked at relapsed/refractory. When we have
17 had the discussions on the possibility of the
18 accrual of this trial with the experts in the
19 field, often we have been told, "Look, we know the
20 drug works, and how do I do a clinical trial in the
21 same indication where I know the drug works?" That
22 has been posed as a challenge, but what I would say

1 is if there is a possibility of looking at it, we
2 will certainly want to complete this because I
3 think that could be a shorter path.

4 DR. PAZDUR: Well, I'm talking about doing
5 both trials, not just the relapsed/refractory
6 drugs, obviously. Right.

7 MR. ANVEKAR: Yes, doing both trials at the
8 same time could be an option, but it's just a
9 little bit difficult for me, as you can appreciate,
10 to make a commitment at this stage without having
11 looked at the whole feasibility.

12 DR. PAZDUR: Well, I urge you to circle back
13 to the FDA on this topic in an expeditious manner,
14 so to speak, because I think it is one here that
15 the development of this drug is suboptimal. And I
16 realize it's not entirely your responsibility, you
17 inherited some of these problems, but it really
18 needs to be addressed. And here again, I don't
19 want to be here -- hopefully -- in the year 2035,
20 or whatever, talking about the same problem.

21 As I pointed out, there are many reasons a
22 clinical trial can be negative, this randomized

1 trial -- underpowered, not looking at the correct
2 subgroup -- and for us to be back at the situation.
3 We really need more information on this drug. And
4 here again, because of the inadequacy of the
5 development program here, over the the 10-plus
6 years here, we really have to step up to the plate
7 here. And I think that's what you've been hearing,
8 even in the open public hearing. You have a
9 responsibility to do this, and we'll hold you to
10 that responsibility.

11 MR. ANVEKAR: Yes, absolutely. Yes. On the
12 relapsed/refractory setting, we will come back;
13 absolutely.

14 DR. CHEN: Thank you for those comments and
15 we'd like to move on. And I would remind the panel
16 members to try to please focus their questions so
17 that we can get to as many panelists as possible,
18 and please remember to state your name before you
19 speak.

20 Dr. Rosko?

21 DR. ROSKO: Ashley Rosko, Ohio State. My
22 question is around strategies to promote timely

1 completion, which my question is about accrual and
2 ways to be able to enhance accrual to allow for
3 timely completion. My question is both to the
4 applicant and to the FDA. One of the ways to be
5 able to enhance accrual is to be able to devise
6 eligibility criteria that are not overly
7 restrictive in the phase 3, randomized-controlled
8 trial settings.

9 Can the applicant comment on whether or not,
10 from the early-phase clinical trials to their later
11 phase clinical trials, if the eligibility criteria
12 have been expanded? And then can the FDA weigh in
13 on the guidance that provides for industry
14 regarding eligibility trials and whether or not
15 they can be more expansive, and how the FDA is able
16 to enforce more liberalized eligibility criteria
17 for phase 3 randomized trials?

18 MR. MINGMONGKOL: Would you like for the
19 sponsor or FDA to go first?

20 DR. CHEN: Sponsor, please go ahead.

21 MR. MINGMONGKOL: Okay. I'll invite
22 Dr. Anvekar, who can talk about the eligibility

1 criteria, as well as our recruitment strategy.

2 Dr. Anvekar?

3 MR. ANVEKAR: So for the Part 1 of the
4 study, the inclusion and exclusion criteria have
5 been modeled around the romidepsin plus CHOP, so we
6 believe that this gives us a broad enough patient
7 category so that the enrollment can happen in the
8 timeline, which we are projecting -- or in other
9 words, the entry, the inclusion or exclusion
10 criteria should not affect the patient population,
11 and therefore any effect on the recruitment.

12 For the second part of the study, which
13 talks about how the study is going to be conducted,
14 as I understood your question correctly, we are
15 going to implement many strategies, namely digital
16 amplification if you would call it, to make sure
17 that wherever the patient is identified, even in a
18 geographical area surrounding the site, we can
19 identify them and channel the patients to the site.

20 So like those, there are many other
21 activities which we are discussing with our CRO. .
22 The other option which we talked about was also the

1 sites who have mentioned that they do not have the
2 resources right now to implement the trial, we are
3 going to be working with them, and we have said we
4 could provide them the resources if that is what
5 the bottleneck. So we are working on various
6 aspects to see how we can best get through the
7 enrollment targets, and I think our first and
8 foremost startup was just identifying the sites and
9 the countries where we have the maximum possibility
10 of accruing the patients.

11 DR. CHEN: Thank you.

12 FDA, do you have any additional comment?

13 DR. RICHARDSON: Hi. Nicholas Richardson,
14 FDA. Just quickly, from an eligibility standpoint,
15 you raise an important consideration, and here at
16 the FDA we do encourage broad eligibility. There
17 has been a paradigm where the clinical trial
18 population may be different than the population for
19 which the drug is actually administered following
20 approval, so any ability to narrow that gap would
21 be a benefit to patients. So broad eligibility is
22 something that we are open to and encourage

1 sponsors to consider as well.

2 Then as far as conduct of the trial, for
3 this trial I think there are specific things that
4 that we can talk about, but from a looking-forward
5 standpoint, I think this is really where the
6 paradigm of having confirmatory trials well
7 underway at the time of accelerated approval is
8 ideal. As in Dr. Mehta's presentation, the
9 difference between trials that verify clinical
10 benefit that were underway at the time of
11 accelerated approval was approximately 3 years
12 versus those that had not yet been initiated. The
13 time to verification or withdrawal was 7 years, and
14 that's a long period of time of vulnerability for
15 patients. So from a looking-forward standpoint, I
16 think that's an important concept to take forward.

17 DR. ROSKO: Thank you. That is all.

18 DR. CHEN: Thank you.

19 Dr. Conaway?

20 DR. CONAWAY: Yes. Mark Conaway, University
21 of Virginia. I have two quick questions, one for
22 the sponsor and one for FDA. For the sponsor, the

1 phase 1 portion, you plan to enroll 15 participants
2 in each of five groups in a highly heterogeneous
3 disease. Are there plans for the possibility that
4 the phase 1 trial won't result in a clear dose
5 recommendation? And if so, how does that affect
6 the overall timeline?

7 MR. MINGMONGKOL: Dr. Iyer, would you care
8 to comment on that?

9 DR. IYER: Thank you for the question. At
10 the part for the Optimus guidance, the trial does
11 not have to be powered to demonstrate statistical
12 superiority for the dosage, but the most important
13 thing here is the dose optimization. There are
14 various aspects, and I think the IDMC that's been
15 tasked with looking at the totality of the data,
16 particularly the safety, efficacy, the balance, and
17 will convene. And once the significant patients
18 are enrolled and once we have the analysis and
19 primary endpoint of ORR at 3 months, the
20 recommendations will be based not just on the
21 overall response rate but also the other endpoints.

22 More importantly, there's a futility measure

1 here in place with [indiscernible], and that's the
2 20 percent or above. In other words, there are
3 many multifactorial issues here that need to be
4 taken into consideration as we move forward with
5 the right dose for Part 2. It's quite possible
6 that we might not see much difference from the ORR
7 response rate, but the more important aspect here
8 is looking at the safety. Thank you.

9 DR. CONAWAY: Thank you.

10 And a question for the FDA, I think I heard
11 the answer to this earlier, but I wanted to ask
12 very directly. FDORA gives the FDA the ability to
13 set milestones, and I was wondering how broad that
14 is. Can you set milestones based on safety and
15 efficacy or interim analyses, in addition to
16 accruals?

17 DR. MEHTA: Thank you for that question.
18 This is Gautam Mehta, FDA. We had the ability to
19 set milestones prior to FDORA, so typically with
20 the accelerated approval, we'll set a milestone for
21 the date the protocol is submitted, the final
22 protocol; the date the study is completed; and then

1 the date that the trial results are submitted to
2 us, so final report submission.

3 FDORA doesn't necessarily provide for
4 additional milestones in addition to those three,
5 but it allows us to, one, require that,
6 prospectively, the confirmatory trial is underway
7 at the time we grant accelerated approval, so in
8 that case, that initial milestone of protocol
9 submission has already passed because they've got
10 the study underway, and then it requires just
11 status updates. So every 6 months we're going to
12 get a status update, and this is just rolling out,
13 so this will hopefully engender more bilateral
14 communication between the sponsors and FDA.

15 DR. CONAWAY: Okay. Thank you.

16 DR. CHEN: Thank you.

17 Dr. Nieva?

18 DR. NIEVA: Thank you. My question is for
19 the FDA hematology team. It strikes me as being
20 very strange that when a drug is behind on its
21 development, the solution seems to be to promote
22 the longest, most ambitious, most difficult

1 clinical trial that's ever been done in the
2 disease. It seems like a much shorter trial would
3 be appropriate to try to look at the endpoints, and
4 I'm wondering if some other incentives could be
5 made to do that.

6 Specifically, I'd like to have a sense of do
7 we really need a second- and a third-line drug in
8 this disease approved. Would it make sense to lift
9 one of the approvals, recognizing that the T-cell
10 lymphoma patients still have a second-line therapy
11 option available after combination chemotherapy,
12 and that relatively few patients go on to
13 third-line therapy? Do we have any data to get a
14 sense of how big of a population actually needs
15 both of these agents and not just one of them?

16 DR. RICHARDSON: Hi. Nicholas Richardson,
17 FDA. Thank you for your question. I think maybe
18 you had two questions and I'll try to clarify them.
19 One was you had asked about could we look at
20 endpoints regarding this confirmatory trial and the
21 verification of clinical benefit, and then also the
22 number of treatments that are being evaluated

1 within this trial.

2 Is that a fair representation?

3 DR. NIEVA: I'd say the first part of the
4 question is really getting back towards
5 Dr. Pazdur's point that, really, a less ambitious
6 clinical trial maybe would be appropriate so that
7 we get a faster answer; not a 7-year answer but a
8 2- or 3-year answer. Now that the company is
9 committed to enrolling 100 patients a year on a
10 subsequent trial and appears to have the resources
11 to do that, is there a 100- to 200-patient study
12 that could confirm clinical benefit, or should the
13 agency even think about telling the company, "No,
14 you need to have a 2-year trial here, not a 7-year
15 trial?"

16 DR. RICHARDSON: Sure. Thank you for
17 clarifying that. As we mentioned, overall for
18 accelerated approval, the expectation is that these
19 confirmatory trials are completed in a timely
20 manner. Obviously, that's not the situation that
21 we're dealing with today. So to address your
22 question, really, the onus is on the sponsor to

1 provide data to the FDA to support that there is
2 verification of clinical benefit. Yes, we do work
3 with the sponsors on the development of these
4 confirmatory trials, but it's the sponsor's
5 responsibility to conduct and design these trials
6 for verification of clinical benefit.

7 Now, as part of the reasons that we wanted
8 to hold this meeting today, we want to be
9 transparent about some of these outliers for
10 accelerated approval, and you raise an important
11 point. So there is a collaborative discussion to
12 inform whether a timely clinical confirmatory trial
13 can be completed; however, for this situation,
14 we're in the situation that we're in, and the
15 sponsor has elected to choose this randomized trial
16 in the first-line setting. We have been open to
17 trials in multiple disease settings like we had
18 mentioned, but at the end of the day, it's the
19 sponsor's responsibility to conduct these trials.

20 DR. PAZDUR: That being said, if I could
21 just weigh in here. This drug is not being
22 developed, obviously, in a vacuum, and we have two

1 other trials that were done and completed, so the
2 trial can be completed, and we know that because of
3 the brentuximab and the romidepsin experience, and
4 it did take several years, obviously 5 years plus,
5 for the brentuximab and 7 years for the romidepsin.

6 We have to treat sponsors equally, so to
7 speak, and if we were demanding randomized trials
8 for these two drugs, why should we then say, "Well,
9 you could do less here?" This is why I'm
10 advocating that they do an additional trial in
11 addition to this front-line setting.

12 Your comment regarding taking one of these
13 drugs off, that is like, which one would you take
14 off? Why would you choose one drug over the other?
15 That puts us in a very precarious position, so to
16 speak. If this was two sponsors basically having
17 two different drugs here, how would you choose one
18 sponsor versus the other sponsor? It puts us in,
19 really, a very difficult -- legal even -- paradigm
20 of doing that, saying, "Well, we're taking one drug
21 off and leaving one drug on." So there are issues
22 that are regulatory and also legal that comes into

1 play when one starts removing drugs from the
2 market.

3 DR. CONAWAY: Well, I would point out that
4 you could choose the one that's got the longer
5 delay.

6 DR. PAZDUR: Thank you.

7 DR. CHEN: Thank you for the comments. We
8 are running short on time, so I would ask people to
9 please just ask one focused question.

10 Dr. [Mr. - sic] Mitchell?

11 MR. MITCHELL: I'm not a doctor. I am the
12 consumer representative to the ODAC and I also have
13 the experience of benefiting from accelerated
14 approval. Three of the four drugs that I take
15 right now for my multiple myeloma were approved
16 through accelerated approval, so I'm a big fan.

17 I want to not ask a question partly because
18 Dr. Pazdur's asked specifically at times if we can
19 discuss and reflect as a committee, and I want to
20 talk about discussion question number 1, which asks
21 whether the current plan to verify clinical benefit
22 is reasonable, considering the proposed timelines.

1 And in regard to that, I would like --

2 DR. CHEN: Excuse me. We actually have a
3 session right after this to go on to discuss the
4 questions to the committee, so I would like to
5 defer your comment to that section.

6 MR. MITCHELL: Well, it's linked together in
7 the agenda.

8 DR. CHEN: Yes, that's right.

9 MR. MITCHELL: It says questions to the
10 committee and discussion at 12:45. I thought we
11 were here.

12 DR. CHEN: Sorry, but we're still finishing
13 the questions to the sponsor and FDA right now that
14 we had to cut short earlier, and we will certainly
15 circle around back to you when we open up to the
16 general committee discussion questions. I'm sorry
17 for the mix-up.

18 Dr. Spratt?

19 DR. SPRATT: Dan Spratt, UH Seidman, Case
20 Western Reserve. This is to the sponsor. Other
21 than, obviously, keeping this approved, there is
22 financial incentive to keep this until let's say

1 this reports out in 2030, whether positive or
2 negative, so another, we'll say, 6 years on the
3 market. I guess the question, though, in terms of
4 actually focusing on the patient and benefit, if
5 these both were removed from being approved, you
6 effectively would not have any contamination or
7 it'd be much more challenging in this proposed
8 randomized trial, where there's basically no
9 FDA-approved or effective therapies in the
10 relapsed/refractory setting, assuming first-line
11 therapies are being used.

12 So why is it not in the trial's interest and
13 the company's interest, other than the next 6 years
14 of financial gain, to not have these withdrawn so
15 that your first-line, large trial, if these are
16 active agents, you would see a larger effect size
17 if there's no salvage use of these for impact on
18 survival?

19 MR. MINGMONGKOL: Let me turn this over to
20 Dr. O'Connor. I would like him to emphasize the
21 need for both of these products without a gap.

22 Dr. O'Connor?

1 DR. O'CONNOR: Yes. Thank you for this
2 interesting question. I guess the first is the
3 precedent, and the precedent is that it's not
4 uncommon in these scenarios that the approved drug
5 stays on during the period of conduct of the
6 clinical trial, but I'm going to answer the
7 question more from the perspective of the patient.

8 It was noted earlier that there are two
9 FDA-approved drugs -- and maybe we can take one
10 off; we only need one -- and I would argue that
11 there are two FDA-approved drugs. Take one off, we
12 have one. The answer is that many patients are
13 receiving both drugs, and by virtue of the fact
14 that these drugs work in different ways with
15 different toxicity profiles, it's likely, in fact
16 common, that patients are benefiting from both of
17 these drugs.

18 So in in terms of thinking about comparisons
19 to chemotherapy, there are a number of registry
20 data that we alluded to that suggest that these new
21 drugs are looking better than what we see with
22 conventional chemotherapy. And I'm going to share

1 one data from Dr. Foss' study and one from a study
2 we did, and these are retrospective with all the
3 acknowledged limitations of these kinds of registry
4 studies, but this is the data we have at hand.

5 These data clearly suggest that the newer
6 drugs provide advantage over the historical ones.
7 In addition, some of these registries have explored
8 the issues of toxicity of these new agents in
9 comparison to conventional chemotherapy, and I'm
10 going to put this slide up now just for a quick
11 comparison.

12 It's also clear that the combination of
13 chemotherapy in this setting is wrought with all
14 sorts of toxicities, so I completely appreciate the
15 issues about the toxicity of these agents, but you
16 need to couch that in the context of what's the
17 toxicity these patients will receive with the
18 conventional combination chemotherapy. And again,
19 retrospective registry data, single-agent data on
20 the right, combination on the left, it's very clear
21 that there may be both clinical benefit of these
22 drugs, as well as a toxicity benefit that favors

1 the single agents, and it's intuitive that single
2 agents will be less toxic than combination.

3 So I personally would advocate strongly for
4 maintaining both of these drugs to be available for
5 all our patients with PTCL, irrespective of the
6 line of relapse.

7 DR. SPRATT: To restate the question I guess
8 very clearly, by keeping these agents approved,
9 they will be used in the second-line setting. How
10 is that going to, if anything, help the positivity
11 of this trial, especially for the important
12 endpoint of overall survival?

13 MR. MINGMONGKOL: Dr. O'Connor?

14 DR. O'CONNOR: It's clear that that could be
15 a confounding factor, and that statistical
16 conundrum needs to be weighted against the benefit
17 of using these drugs for patients, where there is
18 no other option to treat the disease.

19 DR. CHEN: Thank you.

20 DR. SPRATT: Just real quick. If that
21 becomes negative, let's say contamination, and
22 ultimately the drug does not get approved in 2030

1 because of this contamination, then is there really
2 then a net benefit? So I guess that's just a
3 comment, but thank you very much for your response.

4 **Questions to the Committee and Discussion**

5 DR. CHEN: Thank you.

6 In the interest of time, we will now turn
7 our attention to the task at hand, the careful
8 consideration of the data before the committee, as
9 well as the public comments.

10 We will proceed with the questions to the
11 committee and panel discussions. I would like to
12 remind public observers that while this meeting is
13 open for public observation, public attendees may
14 not participate, except at the specific request of
15 the panel. After I read each question, we will
16 pause for any questions or comments considering its
17 wording. We will proceed with our first question,
18 which is a discussion question.

19 Question 1. Discuss the delays in
20 post-approval confirmatory trials for pralatrexate
21 and belinostat, and whether the current plan to
22 verify the clinical benefit of these products in

1 patients with peripheral T-cell lymphoma is
2 responsible [sic - reasonable] considering the
3 sponsor's proposed timelines.

4 The question is open for discussion.

5 Dr. Spratt?

6 DR. SPRATT: You want to have David Mitchell
7 go first, just because he was going to speak last
8 time?

9 DR. CHEN: Sure.

10 Yes. Dr. -- sorry. Mr. Mitchell, would you
11 like to speak?

12 MR. MITCHELL: You keep promoting me. I'm
13 ok with that, but I'm not a doctor. And thank you,
14 Dr. Spratt, for that. That's very kind.

15 I want to ask to have the FDA slide
16 number 61 pulled up, if I can. I'd like to speak
17 to that, and then slide 68 from the FDA. Is that
18 possible; 61 first? So directly going to the
19 question -- can we get the slide back for me? That
20 would be helpful; 61.

21 The timeline proposed, is the plan
22 reasonable? When I look at this slide, and I think

1 about the degree and duration of uncertainty that
2 we are putting on patients -- Dr. Pazdur talked
3 about the development process being suboptimal -- I
4 know that the sponsor isn't responsible because of
5 the transfer of ownership for all of this, but this
6 is a truly remarkable extension of what is intended
7 under the accelerated approval process, which is
8 for patients, and it needs to protect patients and
9 keep that period of uncertainty within a reasonable
10 amount of time. And I would say we are beyond a
11 reasonable amount of time, by any measure, given
12 the intention and general parameters that are used
13 for accelerated approval.

14 So this poses a real problem, and it's
15 exacerbated by slide number 68, please. You know,
16 looking at this as a patient -- I think it's 68;
17 for a second, if we can pull that up, and this has
18 to do with the dosing levels. The idea that we are
19 using -- we really have no dose optimization. I am
20 a patient who has benefited from dose reduction and
21 research showing that a lower dose was just as
22 effective as a higher dose, and I believe one

1 representative of the FDA said that higher exposure
2 to the drug didn't improve outcomes.

3 So here we are looking at a slide where the
4 dose was being pushed as hard as possible. I heard
5 someone mention higher doses were tested in other
6 studies. So when I think about the period of
7 uncertainty we've subjected patients to, the
8 uncertainty regarding the dose, the fact that the
9 drug doesn't necessarily work and may make their
10 lives worse, it's very troubling, and it feels like
11 we're trying to rationalize this plan, which
12 extends this time out to potentially 2030.

13 So my answer -- I got to go back to the
14 questions; forgive me, I've got too many mouses
15 going and too many computers -- is that it is not
16 reasonable. The current plan to verify clinical
17 benefit is not reasonable given the proposed
18 timelines and the risks to which we are subjecting
19 patients, and potentially causing harm.

20 DR. CHEN: Thank you for those comments.

21 Could you please show discussion question 1
22 again? I just wanted to make sure that people feel

1 this question is clear and they have no concerns
2 about the wording of this question.

3 (No response.)

4 DR. CHEN: Alright. We'll move forward then
5 with further discussion of this question.

6 Dr. Spratt?

7 DR. SPRATT: Thank you. Dan Spratt, UH
8 Seidman, Case Western. I would echo I'm on the
9 fence, that this probably is not reasonable. But
10 if you were able to just show slide 17 from the FDA
11 slide deck presentation, given really how far of an
12 outlier this really is in terms of time here.

13 Just to keep things in perspective, so
14 while, yes, the onus is on the sponsor to have
15 resolved this, I would also say, very respectfully,
16 the onus is also on the FDA that we're now at this
17 point right now, and should we be at this point.
18 It's probably why we're having this meeting today.
19 I will also say that I am very concerned that if we
20 just take the premise that these drugs work for a
21 moment and that these drugs benefit patients, then
22 getting these drugs a traditional approval would be

1 in patients and, obviously, the company's best
2 interest.

3 The most probable way to show that there is
4 objective response rates, progression-free survival
5 response rates, and even signal, if not OS
6 benefits, is if these are not, if the approval is
7 either withdrawn or removed, this accelerated
8 approval, that there is not necessarily access to
9 these agents off trial, so I am still perplexed by
10 keeping them approved for 6 years.

11 The third point I guess is I go back to this
12 surrogacy aspect. For a traditional approval,
13 there needs to be some demonstrable benefit and
14 outcome for a surrogate to be improved. And we
15 keep going back to this; that these endpoints are
16 not surrogate endpoints, and I do believe there is
17 data that can be used to establish a surrogate
18 threshold effect. If you look at the response rate
19 in brentuximab versus these agents, can you, in a
20 quicker, potentially even smaller trial, establish
21 a higher bar of what is necessary to hit as an
22 objective response rate?

1 My concern is that the big reasons that they
2 say this has not reached the traditional approval
3 to date and the trial was not done were -- I
4 counted -- six points, and I'll say them fast.
5 One, this is an aggressive disease. Plenty of
6 drugs are approved in aggressive disease.

7 Rarity of disease; plenty of drugs are
8 approved in rare diseases with trials, and this is
9 a high event rate. Issues with standard of care,
10 and they kept saying CHOP didn't undergo randomized
11 trials. One of the public speaker's comments said
12 there may not even be activity or benefit of CHOP
13 versus best supportive care. So again, that
14 doesn't help the argument that we're just using
15 agents to these patients that have toxicity that
16 don't necessarily help them.

17 That there are no other approved therapies;
18 again, if romidepsin was the only therapy in this
19 setting approved, should we be giving it right now,
20 given that it nearly doubled the grade 4
21 treatment-related adverse events? Biologic
22 activity; they've shown romidepsin's a great

1 example, but across all of oncology, many drugs
2 have response rates that do not translate into
3 quality- or quantity-of-life benefits. The biggest
4 factor that remains is they keep saying how
5 heterogeneous this disease is, but we're still just
6 proceeding forward with a trial, keeping all the
7 heterogeneity in there.

8 So I really feel that some type of
9 understanding of this disease, or maybe including
10 it across other disease entities with similar
11 mechanisms of response, would be beneficial. So
12 those are my comments. Thank you.

13 DR. CHEN: Thank you.

14 Dr. Choueiri, you weren't able to ask in the
15 previous session. Would you like to go?

16 DR. CHOUEIRI: Yes. Thank you very much.
17 Toni Choueiri, Dana-Farber, Boston. I have just
18 one comment and I have one question for the
19 sponsor. I would like you to keep this slide. I
20 think, overall, just based on slide 17, that set a
21 dangerous precedent for the other sponsors and drug
22 companies to have such outliers from the same

1 company. I think, overall, Dr. Pazdur was quite
2 kind in mentioning the word "suboptimal" in the
3 development. I would be just maybe one level less
4 kind, and I would say the development of this drug
5 has been sloppy, and that is being somewhat a bit
6 kind. There has been perhaps many justifications
7 why, but slide 17 will tell you that no matter
8 what, there could have been at least one randomized
9 study.

10 Now, the question is, will it
11 benefit -- because that drug, I have personally no
12 doubt, and that's my own assessment, based on the
13 literature -- a subgroup of patients that today we
14 cannot for sure identify? I don't know. Twenty
15 percent? Would that 20 percent carry the whole
16 trial, randomized trial, toward the survivor or a
17 PFS benefit? I'm not sure.

18 I would urge the sponsor to start screening
19 patients soon, open the study, and monitor every
20 month's accrual. There are datasets now. This is
21 not new. You can get as close to the target
22 accrual as possible per month, and if not possible

1 for whatever reason, communicate directly with the
2 FDA why. There has been 13 plus 9 -- 22 years
3 cumulative -- delay in doing the studies. Again,
4 that set a dangerous precedent that we don't like
5 other sponsors to do; therefore, I would urge you
6 to accrue to this study at any price and not
7 continue with the same trend. At this point, I am
8 not sure accrual will happen. I'm not confident,
9 just because it has been 13 years only. Thank you
10 very much.

11 DR. CHEN: Thank you. We will actually
12 defer having the company respond, as this is
13 supposed to be the intra-panel discussion at this
14 point.

15 We are running very short on time. I would
16 like to move to the question 2 discussion. Discuss
17 strategies to promote timely completion of the
18 confirmatory trial for pralatrexate and belinostat,
19 and insights from this experience that may
20 facilitate completion of confirmatory trials for
21 future accelerated approvals.

22 Does anyone have any concerns about the

1 wording of this question?

2 (No response.)

3 DR. CHEN: Okay. We will go ahead with
4 discussion at this point.

5 Dr. Lieu?

6 DR. LIEU: Thanks so much. I'll try to keep
7 my comments relatively short. I'll just answer
8 both questions in my comments. I agree with
9 everything that's been said so far. I do
10 understand that there are extenuating circumstances
11 that greatly lengthen this process, some of which
12 are outside of the sponsor's control. I do believe
13 that the clinical benefit of these agents is still
14 more likely present than not, and I feel like the
15 presentations and the comments from experts in the
16 field are really compelling.

17 We don't want to prevent patients from
18 receiving active therapies that can help them, but
19 we have to have that confirmatory study, and now,
20 actually hearing the comments from the experts in
21 the field, I actually have significant concerns
22 about the feasibility of the confirmatory study,

1 and I agree with the comments that have been made,
2 that I would strongly recommend a faster study in
3 the refractory setting to avoid potentially harming
4 patients for an additional 7 years, and that's
5 assuming even that the proposed timelines within
6 the confirmatory studies are met.

7 I understand with the new regulations in the
8 future, the FDA can require confirmatory studies to
9 be initiated. In this case, I think they actually
10 should require this to be the case, as well as show
11 an acceptable accrual rate. If the FDA and the
12 sponsor do agree to move forward with the proposed
13 confirmatory study, I think failure to meet certain
14 milestones really should lead to pulling the
15 approval for these agents. Just in general, I
16 think serious consideration, given this
17 extraordinary situation, should be given to
18 providing a hard timeline as is instituted in other
19 countries. Thank you. That's the end of my
20 comments.

21 DR. CHEN: Thank you.

22 Dr. Nieva.

1 DR. NIEVA: Thank you. I'm concerned that
2 the duration of the trial may be a business
3 strategy to sort of run out the clock on the
4 patents for these drugs, and I'm concerned that
5 making the trial as long as possible is somewhat in
6 the economic interest of the company. And because
7 of that, it's not going to get any easier to have a
8 hard timeline or pull these indications after the
9 study has accrued 100 patients or 200 patients.
10 I'm also concerned about the company being able to
11 make decisions such as dropping one of the arms as
12 one of these drugs gets closer to its patent
13 expiration.

14 So my proposal here would be to ask the
15 company to make these drugs available to the
16 cooperative groups and have cooperative groups
17 actually run a second confirmatory trial. This
18 would allow us to have extra data, and of course
19 would save the patient population the risk that
20 this trial ends up being closed for lack of
21 feasibility, and we all have an opportunity to
22 continue to learn about these agents. Thank you.

1 DR. CHEN: Thank you.

2 Dr. Cheng?

3 DR. CHENG: Hi. Jon Cheng, industry rep. I
4 just want to thank the FDA for bringing this issue
5 forward. I think we all are in agreement that the
6 learnings from this example is important as to how
7 to move this area of accelerated approval, which I
8 find to be very valuable for patients to have the
9 majority of accelerated approvals confirmed to have
10 that early access, and I think very valuable with
11 the balance of this uncertainty period.

12 Now, I also want to appreciate the sponsor
13 because many of these things that they're taking on
14 currently, I find to be in good faith. My question
15 is actually to the FDA a little bit. What can we
16 learn and what are the strategies to promote it? I
17 do think a lot of the concerns are a resource
18 allocation decision. So my question to the FDA is,
19 actually, have you looked at some of the delays?
20 No one wants a very prolonged delay. But is it
21 different between smaller companies and bigger
22 companies, and is there a difference between rare

1 diseases and common diseases?

2 I would imagine a common disease from a
3 company that has significant resources would be
4 able to expedite a lot of these kind of delays in
5 confirmatory trials or run multiple trials;
6 however, I can also imagine in a smaller company
7 with limited resources, with a burn rate, they have
8 more challenges initiating multiple trials or
9 multiple investigations. For example, refractory
10 and relapsed, those are not easy trials to do when
11 the accelerated approval is already there. You
12 often have to go outside the U.S. or things like
13 that, so there are challenges either way. But I do
14 wonder if we can identify the causes of these
15 prolonged delays and if there's a difference in the
16 data between small companies, big companies, and
17 then rare diseases versus common diseases.

18 DR. THEORET: This is Marc Theoret, FDA. I
19 just wanted to start off, and I'll turn it over to
20 my colleagues. One of the biggest issues we have
21 seen in terms of delays is the presence of an
22 ongoing trial at the time of accelerated approval

1 versus not having a confirmatory trial ongoing.
2 And we heard, I just want to emphasize, 3 years
3 median versus 7 years, so that is a big predictor
4 of that.

5 Second is, whether it's a small company or a
6 large company, that period of vulnerability for the
7 patient is the same in terms of having a
8 confirmatory trial either confirming the clinical
9 benefit or demonstrating that the clinical benefit
10 was not verified and drug comes off the market; so
11 that's irrespective of the size of the company.

12 I just wanted to say in terms of this
13 consideration of timelines and the authorities, FDA
14 always had the authority for withdrawal of the
15 approval based on this concept of due diligence,
16 and with FDORA, there is now agreed-upon timelines
17 for completion, as well as the benchmarks that we
18 get there that could be considered in this due
19 diligence assessment. But that period of
20 vulnerability for the patient -- this is a
21 patient-centric program -- is the same irrespective
22 of company size, and I'll turn it over to

1 Dr. Pazdur.

2 DR. PAZDUR: Your question, small companies
3 versus big companies, oh yeah, there's a
4 difference, and my experience, the larger companies
5 that are adequately capitalized to do these trials,
6 and are doing them, come to talk to us much
7 earlier. They do not have this philosophy of let's
8 just do a small trial, get it approved first, and
9 then sell the drug. There's a greater commitment
10 there. And here again, I'm making a generalization
11 here, and there are exceptions to everything I say
12 here but, in general, larger companies have the
13 adequate capitalization, the financial means, to do
14 these trials.

15 What we saw, for example, with the PD-1
16 drugs -- and here again, I refer people back to
17 that ODAC, the 3-day ODAC that we did -- not only
18 was there one confirmatory study; there were
19 multiple confirmatory studies in the same disease.
20 What we see sometimes with small companies in our
21 internal discussion is they say, "Oh, we don't have
22 the capital to do a randomized study. We need to

1 get this drug approved, and then we'll capitalize
2 this larger trial." That's unacceptable, and
3 that's why we really are moving toward that these
4 trials have to be ongoing at the time of the
5 approval, with substantial accrual to it.

6 But we can't play this game of financial
7 risk, and then putting that financial risk back on
8 the patients. That's totally unacceptable, and
9 that's why the FDA really was 100 percent behind
10 this issue of these confirmatory trials being
11 ongoing, substantially ongoing, at the time of the
12 accelerated approval, but there are differences,
13 again, generalization, and there are always
14 exceptions to the rule.

15 DR. CHENG: But if I may, I think the
16 requirement for the initiation or accrual of the
17 confirmatory trial will help tremendously to your
18 data and will help both small and large
19 companies --

20 (Crosstalk.)

21 DR. CHEN: Excuse me. This is supposed to
22 be the intra-panel discussion part, so if we will

1 please move on at this point rather than questions
2 directed to the FDA or the company.

3 DR. CHENG: Thank you.

4 DR. CHEN: Sorry about that.

5 DR. CHENG: No. Thank you.

6 DR. CHEN: Dr. Advani, you weren't able to
7 ask your question during the prior session, so
8 would you like to go now?

9 DR. ADVANI: I think the issue is with
10 taking the drug, which has been shown to be
11 effective, at least which some of us have used in
12 the relapsed setting, and now trying to prove the
13 efficacy in front line and the challenges
14 associated with it. We do need it in the
15 second-line space because otherwise there's nothing
16 to bridge these patients to, even an
17 allo transplant.

18 Is there a way the front-line design can be
19 modified that you have a very stringent interim
20 futility analysis for efficacy or toxicity, which
21 forces the question earlier rather than waiting
22 7 years?

1 DR. CHEN: Do you have a suggestion about
2 that? We're not really having the responses from
3 the company and the FDA at this point.

4 DR. ADVANI: Well, in a [indiscernible], how
5 can you make the timelines shorter.

6 DR. CHEN: Do other panel members have
7 thoughts on that?

8 DR. SPRATT: Yes. Are you opening up to any
9 of us?

10 DR. CHEN: Sure. Dr. Spratt?

11 DR. SPRATT: Yes. I think Dr. Nieva had a
12 great suggestion, and I do think that's a balanced
13 fair assessment given, to pick one of these agents,
14 A, to focus in on it, and B, to better understand,
15 even in this sort of phase 1 portion,
16 enriching -- I mean, we're just throwing darts
17 blindly, I feel like, in a heterogeneous disease,
18 so rather than solely trying to find dose, I think
19 we also need to understand what subtypes are
20 actually benefiting. I don't think you can in an
21 expeditious manner be exploring dose effects and
22 subtype effects, so picking whichever agent. I

1 would say the benefit is because this is one
2 sponsor, they can pick, but you could have a
3 rational decision to which one it is and try to
4 enrich, and then pick.

5 As I've said before, I do think you can
6 establish a bar, a benchmark, in a futility
7 analysis with an objective response rate that needs
8 to be high, looking at what's ultimately led to
9 survival benefits and whether that's 50 percent,
10 60 percent, 80 percent, but clearly it's not 20 or
11 30 percent. So I think if it can't meet a high
12 bar, it's futile, so we get this answer pretty
13 soon.

14 DR. CHEN: Thank you for your comments.

15 Dr. Vinks, you haven't been able to ask a
16 question for a while. Do you have some comments?

17 DR. VINKS: Yes. Alexander Vinks, NDA
18 Partners. As a clinical pharmacologist on this
19 committee and temporary member, I just want to
20 reiterate that there are some opportunities to
21 maybe improve on a drug that, as was said, was
22 developed quite suboptimally. I think, as

1 Dr. Spratt said, it's not only about dose, but
2 also, given the large heterogeneity, I think there
3 can be a lot learned from what has been seen in
4 patients before, with presentations on registries
5 where there are data.

6 I think going back to the data and
7 using -- I work in the space of modeling for drug
8 development, and I've seen tremendous results of
9 applying modeling and simulation and more
10 qualitative approaches using all the available data
11 to come up with more insight that would inform
12 them; for instance, a smaller study that would give
13 us, in a subset of patients, confirmatory data, as
14 opposed to this, what I see as a very large,
15 traditionally organized, long clinical trial with a
16 control group, where I hear clinicians say, "I'm
17 not very excited if I see that." So that
18 definitely will not encourage enrollment in a study
19 that is projected to take at least 7 years.

20 So I would encourage the sponsor to think
21 about this, and go back to all the data that are
22 available, and come up with better insight in both

1 the pharmacology/biology, combine that, and also
2 look at exposure response to pin down what is a
3 most likely, say, smaller study that could be
4 simpler but still get robust informative data.

5 Thank you.

6 DR. CHEN: Thank you.

7 Dr. Rosko?

8 DR. ROSKO: Ashley Rosko, Ohio State. It is
9 clear from our panel discussion here that there are
10 many more questions than there are answers. I
11 think when I'm looking at both of these
12 questions -- and these are my comments about
13 this -- I'm a hematologist, and working in T-cell
14 lymphoma, it's a very rare disease, and high acuity
15 as well, so enrolling patients into clinical trials
16 is very difficult. At the same time, when it comes
17 to having very little treatment options for this
18 patient population, taking away those drug options
19 for patients I think is uncertain.

20 But I also think that part of this
21 discussion is the fact that, according to the
22 slides, this very long length of time, the FDA is

1 being transparent as well, saying this was
2 something that should never happen again. As such,
3 this FDORA legislation has been put in place to
4 allow us the authority to not have these prolonged
5 delays when it comes to allowing trials to come to
6 the postmarketing phase. I think that's very
7 transparent on the FDA's part to say, here's what
8 we've done to be able to make sure this doesn't
9 happen again.

10 Then moving forward, I just think it's a
11 great opportunity to allow the FDA and industry to
12 partner to say, here's what you've done so far
13 since 2019, since you've acquired this drug. We
14 want to allow industry to work in rare diseases
15 because we need that, and here are ways for us to
16 be able to work together to allow -- whether it's a
17 second trial in a relapsed setting that's happening
18 concurrently with the active trial -- these drugs,
19 and for patients to have access to them moving
20 forward.

21 So when I think about these strategies to
22 promote timely completion of the trial, I think

1 this is the discussion, if the FDA and the sponsor
2 are in a place, to say are we doing everything
3 that's possible to allow these studies to move
4 forward and to allow patients to have access to
5 these drugs? I think those open discussions should
6 be ongoing to make sure that they are working with
7 their CROs and they are creating access to
8 patients; that is very tough to be able to reach
9 such an uncommon patient population and that
10 they're doing more in order to allow for patients
11 to have access to the therapies.

12 So that's my opinion when it comes to the
13 discussion that's happened here today.

14 DR. CHEN: Thank you.

15 Dr. Thanarajasingam?

16 DR. THANARAJASINGAM: Yes. Thank you. I
17 just as a clinician want to emphasize, and somebody
18 who's a lymphoma-specific hematologist, there was
19 some discussion about withdrawal of these agents
20 now. We have so little right now in this space
21 that I would not recommend withdrawal of these
22 agents right now. We do use them both. We use

1 whatever we have at our hands in a sequential
2 manner, and we're capable of addressing risks and
3 uncertainties with our patients while we're in this
4 prolonged vulnerability period while we get some
5 confirmatory data on a reasonable time frame going
6 forth.

7 Ninety-five percent of patients in our
8 country are not treated on clinical trials, an
9 issue that the FDA, and NCI, and us in academia and
10 industry are hard at work on, but I don't think
11 it's fair to patients who are not able to access
12 trials to have withdrawal of potentially active
13 agents that were on the market because of a lack of
14 due diligence from the sponsor, without some form
15 of confirmatory trial.

16 I appreciate that there's going to be
17 muddling of survival endpoints when people can get
18 these things in the second line, and I have
19 expressed concerns about the feasibility of accrual
20 in the front-line trial, even with the long
21 time frame that's been proposed. So I want to
22 affirm the earlier discussion about trying to do

1 this additionally in a smaller study in the
2 relapsed/refractory setting to get an earlier
3 readout in the population where we need this,
4 ideally in some of the suggestive histologies; and
5 I think that this suggestion of trying to problem
6 solve confirmatory trials in the original
7 population is appropriate for future accelerated
8 approvals, and we'll also get dose optimization, as
9 well, which I think is very important, as
10 emphasized by Project Optimus.

11 But I do acknowledge it's not easy. My
12 patient from South Dakota, who lives 9 hours away
13 driving to see me, is not going to want to be here
14 on a weekly basis for a clinical trial therapy if
15 they can get the same thing from their local
16 oncologist, so that underscores the challenge of
17 doing trials when the agent's already been
18 approved. But how can we incentivize patients and
19 clinicians to participate? There have got to be
20 ways, and I think we need to innovate, and problem
21 solve, and we have an obligation on behalf of the
22 patient struggling with these diseases to do that.

1 I appreciate the discussion today, and thank you
2 for letting me be part of it.

3 DR. CHEN: Thank you.

4 Dr. Spratt?

5 DR. SPRATT: Because this is a committee
6 discussion, I figured I would converse with the
7 committee. While I very, very much respect the
8 prior speaker's insights and obviously personal
9 experience, I guess my challenge also as a
10 clinician is, with romidepsin, clinicians had the
11 option to say that they are experts to be able to
12 give these recommendations to patients, but without
13 the confirmatory data, you're left with beliefs and
14 the half truth. So I'm sure in these clinics,
15 people were prescribing this agent, to then realize
16 a near doubling of grade 4 toxicity without
17 improvements in PFS or overall survival. So I
18 don't think it's as simple as clinicians, even
19 expert clinicians. We wouldn't run trials if we
20 weren't able to know what benefit them or not.

21 DR. THANARAJASINGAM: Can I just respond
22 briefly to that?

1 DR. CHEN: Please go ahead.

2 DR. THANARAJASINGAM: I'm affirming my
3 agreement with you 100 percent. I'm not saying
4 that this substitutes the need for a confirmatory
5 trial. A confirmatory trial must be done. I think
6 we're all looking for ways that that could be done
7 to get a faster readout and more accountability,
8 and giving the FDA more authority to check in on is
9 this actually happening, this accrual to the
10 currently proposed trial. If it's not, are you
11 trying to do this in the relapsed/refractory
12 setting, and what is the time frame of that?

13 So I absolutely did not mean to imply that
14 there should not be a confirmatory trial. I
15 100 percent agree with you that there should.

16 DR. CHEN: Thank you.

17 Dr. Choueiri?

18 DR. CHOUEIRI: Yes. Toni Choueiri,
19 Dana-Farber. I think a lot of folks have suggested
20 getting some sort of a randomized trial back fast.
21 Since the drug we know has a response-rate benefit
22 at least, is there a way to have an interim

1 analysis based on response and target the response
2 difference; and if this is not met, the study
3 stops? I think, based on responses and the need to
4 confirm responses, this will buy us time, and it
5 will be achieved faster, but obviously it's a
6 higher risk. Thank you.

7 DR. CHEN: Thank you. Yes. We're not
8 having the sponsor respond in this portion, but my
9 understanding is they are planning interim
10 analyses. I don't remember the exact number of
11 events they are waiting for the interim analysis,
12 but that was under their consideration.

13 Would any other panel members have other
14 comments or questions before we start wrapping up?

15 (No response.)

16 DR. CHEN: Okay.

17 We will go back. Can you scroll back to
18 question 1, please?

19 In terms of the delays in the post-approval
20 confirmatory trials and whether the current plan to
21 verify the clinical benefit is reasonable,
22 considering the sponsor's proposed timelines, I

1 think the consensus of the advisory committee is
2 that we have significant concerns about the very
3 prolonged delay in getting these confirmatory
4 studies underway. We also have concerns about the
5 dosing and whether or not these are the appropriate
6 studies to be doing; or that there should be an
7 additional study in a subset of T-cell lymphoma or
8 in the relapsed/refractory setting has been brought
9 up as well. We would like the FDA and sponsor to
10 strategize about other possible ways to have a
11 shorter study readout than waiting another 7 years
12 from now, which would be essentially 20 years from
13 the initial approval of pralatrexate.

14 Can we go on to question 2?

15 In terms of strategies to promote timely
16 completion of the confirmatory trials and insights
17 from this experience that may facilitate completion
18 of future accelerated approvals, we note that there
19 has been a major change in the regulatory and legal
20 landscape for accelerated approvals, and the
21 committee fully supports the changes that have been
22 made for confirmatory studies to be underway at the

1 time of accelerated approval. So we note that
2 there is a marked difference in the timeline in
3 those studies when the confirmatory studies were
4 already underway at the time of accelerated
5 approval, and that is something that will be
6 certainly helpful for the future and the
7 regulations that have been promulgated from that.

8 Going back in terms of the timely completion
9 of this particular study for pralatrexate and
10 belinostat, this goes back, in part, to question 1,
11 and again, the committee does have significant
12 concerns about the long timeline to the approval of
13 these -- to expect the completion of these studies,
14 should I say -- and that it may be better served to
15 do a study in a smaller population where there
16 might be a greater chance of benefit, and also to
17 think about doing a study in the
18 relapsed/refractory population, whether it's versus
19 dealer's choice or something else along those
20 lines, to try to see if we can get a faster
21 readout. But we do have concerns about the dosing
22 and with the toxicities that have been seen with

1 these agents, so it is a bit of a mixed bag that we
2 do not have a direct answer for this.

3 I would also like to note that the question
4 for this committee from the FDA is different from
5 the typical ODAC. We are not being asked to
6 approve or revoke approval for this drug. There is
7 not on the agenda that we are talking about
8 removing the drugs from the market at this time.

9 Does the FDA have any other questions or
10 concerns?

11 DR. RICHARDSON: Hi. This is Nicholas
12 Richardson from FDA. No further questions or
13 concerns. Just in closing, we'd really like to
14 thank the committee for their thoughtful discussion
15 today on this important topic for patients. We
16 truly appreciate it.

17 **Adjournment**

18 DR. CHEN: I would like to thank all the
19 participants and thank you for your participation.
20 We will now adjourn the meeting. Thank you.

21 (Whereupon, at 1:49 p.m., the meeting was
22 adjourned.)