

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
ONCOLOGIC DRUGS ADVISORY COMMITTEE MEETING  
(ODAC)

Friday, April 12, 2024  
9:00 a.m. to 3:19 p.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

**Takyiah Stevenson, PharmD**

Division of Advisory Committee and Consultant  
Management  
Office of Executive Programs, CDER, FDA

**ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

**Ranjana H. Advani, MD**

Saul Rosenberg Professor of Lymphoma Division of  
Oncology  
Stanford University School of Medicine  
Stanford, California

**Mark R. Conaway, PhD**

Professor  
Division of Translational Research and  
Applied Statistics  
Department of Public Health Sciences  
The University of Virginia School of Medicine  
Charlottesville, Virginia

1     **Christopher H. Lieu, MD**

2     Associate Professor of Medicine

3     Associate Director for Clinical Research

4     Director, Gastrointestinal Medical Oncology

5     University of Colorado Cancer Center

6     Aurora, Colorado

7

8     **Ravi A. Madan, MD**

9     Senior Clinician

10    Head, Prostate Cancer Clinical Research Section

11    Genitourinary Malignancies Branch

12    Center for Cancer Research

13    National Cancer Institute

14    National Institutes of Health

15    Bethesda, Maryland

16

17    **David E. Mitchell**

18    *(Consumer Representative)*

19    President

20    Patients for Affordable Drugs

21    Bethesda, Maryland

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Jorge J. Nieva, MD**

Associate Professor of Clinical Medicine  
Section Head, Solid Tumors  
University of Southern California (USC)  
Norris Comprehensive Cancer Center  
Keck School of Medicine of USC  
Los Angeles, California

**Neil Vasan, MD, PhD**

Assistant Professor  
Division of Hematology & Oncology  
Department of Medicine  
Herbert Irving Comprehensive Cancer Center  
Columbia University Medical Center  
New York, New York

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2 **(Non-Voting)**

3 **Tara Frenkl, MD, MPH**

4 *(Industry Representative)*

5 Senior Vice President, Head of Oncology Development

6 Bayer Pharmaceuticals

7 Whippany, New Jersey

8

9 **TEMPORARY MEMBERS (Voting)**

10 **Christopher Hourigan, DM, DPhil, FRCP**

11 Director, Virginia Tech Fralin Biomedical

12 Research Institute (FBRI) Cancer Research Center

13 Professor, FBRI

14 Professor, Virginia Tech Carillon

15 School of Medicine

16 Washington, District of Columbia

17

18 **Thomas Martin, MD**

19 Associate Chief, Division of Hematology/Oncology

20 Helen Diller Comprehensive Cancer Center

21 University of California San Francisco

22 San Francisco, California

1     **Matthew J. Maurer, DMSc**

2     Associate Professor of Biostatistics and Medicine

3     Division of Clinical Trials and Biostatistics

4     Division of Hematology

5     Mayo Clinic

6     Rochester, Minnesota

7

8     **Grzegorz (Greg) S. Nowakowski, MD, FASCO**

9     *(Acting Chairperson)*

10    Professor of Medicine and Oncology

11    Deputy Director, Clinical Research

12    Mayo Clinic Comprehensive Cancer Center

13    Chair, Lymphoid Malignancy Group

14    Rochester, Minnesota

15

16    **Michael A. Riotto**

17    *(Patient Representative)*

18    Jamison, Pennsylvania

19

20

21

22

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Richard Pazdur, MD**

3       Director, Oncology Center of Excellence (OCE)

4       Office of the Commissioner (OC)

5       Director (Acting)

6       Office of Oncologic Diseases (OOD)

7       Office of New Drugs (OND), CDER, FDA

8

9       **Marc Theoret, MD**

10       Deputy Center Director

11       OCE, OC

12       Supervisory Associate Director (Acting)

13       OOD, OND, CDER, FDA

14

15       **Nicole Gormley, MD**

16       Associate Director of Oncology Endpoint

17       Development, OCE

18       Director, Division of Hematologic

19       Malignancies II (DHM II)

20       OOD, OND, CDER, FDA

21

22

1     **Bindu Kanapuru, MD**

2     Associate Director of Therapeutic Review

3     DHM II, OOD, OND, CDER, FDA

4

5     **Rachel Ershler, MD, MHS**

6     Clinical Reviewer

7     DHM II, OOD, OND, CDER, FDA

8

9     **Jonathon Vallejo, PhD**

10    Supervisory Mathematical Statistician

11    Division of Biometrics IX (DBIX)

12    Office of Biostatistics (OB)

13    Office of Translational Sciences (OTS), CDER, FDA

14

15    **Jing Zhang, PhD**

16    Statistical Reviewer

17    DBIX, OB, OTS, CDER, FDA

18

19

20

21

22



1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Grzegorz (Greg) S. Nowakowski, MD, FASCO	12
5	Conflict of Interest Statement	
6	Takyiah Stevenson, Pharm D	16
7	FDA Introductory Remarks	
8	Oncology Endpoint Development	
9	Nicole Gormley, MD	20
10	Multiple Myeloma - Minimal Residual	
11	Disease (MRD)	
12	Bindu Kanapuru, MD	33
13	<b>Industry Presentations</b>	
14	<b>Sylvester Comprehensive Cancer Center</b>	
15	<b>University of Miami</b>	
16	Introduction	
17	C. Ola Landgren, MD, PhD	47
18	Multiple Myeloma, Unmet Medical	
19	Need and Role of MRD	
20	C. Ola Landgren, MD, PhD	52
21	Data, Methodology, and Results	
22	Sean Devlin, PhD	61

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Summary and Clinical Conclusions	
4	C. Ola Landgren, MD, PhD	71
5	<b>Industry Presentations</b>	
6	<b>International Team for Endpoint Approval of</b>	
7	<b>Myeloma Minimal Residual Disease (I2TEAMM)</b>	
8	Introduction	
9	Brian G.M. Durie, MD	73
10	The Need for MRD Assessment	
11	Bruno Paiva, PhD	76
12	Meta-Analysis and Key Results	
13	Qian Shi, PhD	82
14	Conclusions	
15	Kenneth C. Anderson, MD	93
16	<b>FDA Presentations</b>	
17	MRD to Support Accelerated Approval	
18	Rachel Ershler, MD, MHS	97
19	Jing Zhang, PhD	106
20	Rachel Ershler, MD, MHS	116
21	Clarifying Questions	124
22		

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
<b>Open Public Hearing</b>	179
Questions to the Committee and Discussion	216
Adjournment	292

P R O C E E D I N G S

(9:00 a.m.)

**Call to Order**

**Introduction of Committee**

DR. NOWAKOWSKI: Good morning, and welcome. I would like, first, to remind everyone to please mute your line when you're not speaking. Also, a reminder to everyone, please silence your cell phones, smartphones, and any other devices if you have not already done so. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her email address is currently displayed.

My name is Dr. Greg Nowakowski, and I'll be chairing this meeting. I will now call the April 12, 2024 Oncologic Drugs Advisory Committee meeting to order. We'll start by going around the table and introduce ourselves by stating our names and affiliations. We'll start from the FDA on my left to go around the table.

DR. PAZDUR: Richard Pazdur, Director, Oncology Center of Excellence, FDA.

DR. THEORET: Good morning. Mark Theoret,

1 Deputy Director, Oncology Center of Excellence,  
2 FDA.

3 DR. GORMLEY: Nicole Gormley, Division  
4 Director, Division of Heme Malignancies II at the  
5 FDA. I'm also the Associate Director for Endpoint  
6 Development within the Oncology Center of  
7 Excellence. Thank you.

8 DR. KANAPURU: Good morning. Bindu  
9 Kanapuru. I'm the Associate Director of  
10 Therapeutic Review at Division of Hematologic  
11 Malignancies II. Thank you.

12 DR. ERSHLER: Good morning. I'm Rachel  
13 Ershler. I'm a clinical reviewer in the Division  
14 of Hematologic Malignancies II. Thank you.

15 DR. VALLEJO: Jonathon Vallejo, Supervisory  
16 Statistician, Division of Biometrics IX, FDA.

17 DR. ZHANG: Good morning. My name is Jing  
18 Zhang. I'm a statistical reviewer of the Division  
19 of Biometrics IX, FDA.

20 DR. ADVANI: Ranjana Advani, heme  
21 malignancies, Stanford.

22 DR. CONAWAY: Mark Conaway, biostatistics,

1 University of Virginia.

2 DR. STEVENSON: Good morning. Takyiah  
3 Stevenson, Designated Federal Officer, FDA.

4 DR. NOWAKOWSKI: Greg Nowakowski, Medical  
5 Oncologist at Mayo Clinic, Rochester.

6 DR. LIEU: Chris Lieu, GI Medical  
7 Oncologist, University of Colorado.

8 DR. MADAN: Ravi Madan, Medical Oncology,  
9 National Cancer Institute.

10 MR. MITCHELL: I'm David Mitchell. I'm the  
11 consumer representative to the ODAC, and I am  
12 President of Patients for Affordable Drugs.

13 MR. RIOTTO: Good morning, everybody. My  
14 name is Michael Riotto. I'm a 12-year myeloma  
15 survivor, and I'm the patient representative.

16 DR. NIEVA: Good morning. My name is Jorge  
17 Nieva. I'm the Section Head of Solid Tumors at the  
18 University of Southern California, Norris  
19 Comprehensive Cancer Center.

20 DR. VASAN: Good morning. Neil Vasan. I'm  
21 a breast oncologist at Columbia University.

22 DR. HOURIGAN: Good morning. Christopher

1 Hourigan, Virginia Tech, FBRI, Cancer Research  
2 Center in Washington, DC.

3 DR. MARTIN: Good morning. Tom Martin,  
4 Associate Chief Hematology/Oncology, UCSF in San  
5 Francisco.

6 DR. MAURER: Good morning. Matthew Maurer,  
7 Biostatistics at Mayo Clinic.

8 DR. FRENKL: Good morning. Tara Frenkl.  
9 I'm the industry rep. I am the Head of Oncology  
10 Development at Bayer Pharmaceuticals.

11 DR. NOWAKOWSKI: For topics such as those  
12 being discussed at this meeting, there are often a  
13 variety of opinions, some of which are quite  
14 strongly held. Our goal is that this meeting will  
15 be a fair and open forum for discussion of those  
16 issues and that individuals can express their views  
17 without interruption. Thus, a gentle reminder,  
18 individuals will be allowed to speak into the  
19 record only if recognized by the chairperson. We  
20 look forward to a productive meeting.

21 In the spirit of the Federal Advisory  
22 Committee Act and the Government in the Sunshine

1 Act, we ask that advisory committee members take  
2 care that their conversations about the topics at  
3 hand take place in the open forum of the meeting.  
4 We are aware that members of the media are anxious  
5 to speak with FDA about those proceedings; however,  
6 FDA will refrain from discussing the details of  
7 this meeting with media until its conclusion.  
8 Also, the committee is reminded to please refrain  
9 from discussing the meeting topics during the  
10 breaks or lunch. Thank you.

11 Dr. Stevenson will read the Conflict of  
12 Interest Statement for the meeting.

13 **Conflict of Interest Statement**

14 DR. STEVENSON: The Food and Drug  
15 Administration, FDA, is convening today's meeting  
16 of the Oncologic Drugs Advisory Committee under the  
17 authority of the Federal Advisory Committee Act,  
18 FACA, of 1972. With the exception of the industry  
19 representative, all members and temporary voting  
20 members of the committee are special government  
21 employees or regular federal employees from other  
22 agencies and are subject to federal conflict of



1 interest laws and regulations.

2 The following information on the status of  
3 this committee's compliance with federal ethics and  
4 conflict of interest laws, covered by but not  
5 limited to those found at 18 U.S.C. Section 208, is  
6 being provided to participants in today's meeting  
7 and to the public.

8 FDA has determined that members and  
9 temporary voting members of this committee are in  
10 compliance with federal ethics and conflict of  
11 interest laws. Under 18 U.S.C. Section 208,  
12 Congress has authorized FDA to grant waivers to  
13 special government employees and regular federal  
14 employees who have potential financial conflicts  
15 when it is determined that the agency's need for a  
16 special government employee's services outweighs  
17 their potential financial conflict of interest, or  
18 when the interest of a regular federal employee is  
19 not so substantial as to be deemed likely to affect  
20 the integrity of the services which the government  
21 may expect from the employee.

22 Related to the discussion of today's

1 meeting, members and temporary voting members of  
2 this committee have been screened for potential  
3 financial conflicts of interests of their own as  
4 well as those imputed to them, including those of  
5 their spouses or minor children and, for purposes  
6 of 18 U.S.C. Section 208, their employers. These  
7 interests may include investments; consulting;  
8 expert witness testimony; contracts, grants,  
9 CRADAs; teaching, speaking, writing; patents and  
10 royalties; and primary employment.

11 Today's agenda involves discussion on the  
12 use of minimal residual disease, MRD, as an  
13 endpoint in multiple myeloma clinical trials,  
14 including considerations regarding timing of  
15 assessment, patient populations, and trial design  
16 for future studies that intend to use MRD to  
17 support accelerated approval of a new product or a  
18 new indication. This is a particular matters  
19 meeting during which general issues will be  
20 discussed.

21 Based on the agenda for today's meeting and  
22 all financial interests reported by the committee

1 members and temporary voting numbers, no conflict  
2 of interest waivers have been issued in connection  
3 with this meeting. To ensure transparency, we  
4 encourage all standing committee members and  
5 temporary voting members to disclose any public  
6 statements that they have made concerning the topic  
7 at issue.

8 With respect to FDA's invited industry  
9 representative, we would like to disclose that  
10 Dr. Tara Frenkl is participating in this meeting as  
11 a non-voting industry representative, acting on  
12 behalf of regulated industry. Dr. Frenkl's role at  
13 this meeting is to represent industry in general  
14 and not any particular company. Dr. Frenkl is  
15 employed by Bayer Pharmaceuticals.

16 We would like to remind members and  
17 temporary voting members that if the discussions  
18 involve any other topics not already on the agenda  
19 for which an FDA participant has a personal or  
20 imputed financial interest, the participants need  
21 to exclude themselves from such involvement, and  
22 their exclusion will be noted for the record. FDA

1 encourages all participants to advise the  
2 committees of any financial relationships that they  
3 may have regarding the topic that could be affected  
4 by the committee's discussion. Thank you, and I'll  
5 hand it back to the chair.

6 DR. NOWAKOWSKI: Thank you.

7 We will now proceed with FDA introductory  
8 remarks starting with Dr. Nicole Gormley.

9 **FDA Introductory Remarks - Nicole Gormley**

10 DR. GORMLEY: Good morning. My name is  
11 Nicole Gormley. I'm a hematologist and Director of  
12 the Division of Hematologic Malignancies II and the  
13 Associate Director for Oncology Endpoint  
14 Development within the Oncology Center of  
15 Excellence. Thank you for joining us at today's  
16 ODAC meeting to discuss the use of minimal residual  
17 disease as an intermediate clinical endpoint to  
18 support accelerated approval in multiple myeloma  
19 clinical trials.

20 You will hear from the FDA review division  
21 and two sponsors that have conducted patient-level  
22 meta-analyses to evaluate the acceptability of MRD

1 to be used as an intermediate clinical endpoint.  
2 Prior to hearing from the FDA review division and  
3 the sponsors, I will share a few considerations  
4 regarding endpoint development within oncology.  
5 Specifically, I will begin by discussing how  
6 endpoints are used in regulatory decision making at  
7 the FDA; considerations for how novel endpoints can  
8 be developed; and lastly, some Oncology Center of  
9 Excellence initiatives related to endpoint  
10 development.

11 The FDA Guidance International Conference on  
12 Harmonization E9 document states that there should  
13 be sufficient evidence that the primary variable,  
14 or primary endpoint, can provide a valid and  
15 reliable measure of some clinically relevant and  
16 important treatment benefit. While this is a  
17 simple statement, there are several key components  
18 of this criterion. There should be a valid and  
19 reliable method of measurement for the endpoint.  
20 Additionally, the endpoint should assess a  
21 clinically relevant and important treatment  
22 benefit. These fundamental considerations should

1 be kept in mind when thinking about the adequacy of  
2 a clinical trial endpoint.

3           There are two pathways for approval in the  
4 U.S., regular approval and accelerated approval.  
5 For either approval pathway, there must be  
6 substantial evidence of effectiveness based on  
7 adequate and well-controlled investigations. For  
8 regular approval, approval is based on  
9 demonstration of clinical benefit or an effect on  
10 an established surrogate. Accelerated approval is  
11 intended for products that treat serious or  
12 life-threatening illnesses. Taking into account  
13 the condition and availability of alternative  
14 treatment options, it should provide a meaningful  
15 benefit.

16           In this instance, approval is based on a  
17 surrogate endpoint that is reasonably likely to  
18 predict clinical benefit or a clinical endpoint  
19 that can be measured earlier than survival or  
20 irreversible morbidity, what is sometimes referred  
21 to as an intermediate clinical endpoint. There is  
22 often the requirement to conduct post-approval

1 trials to verify and describe the anticipated  
2 clinical benefit.

3           There are four terms that I would like to  
4 elaborate on a little bit more. The first is  
5 clinical benefit, which could be summarized as a  
6 measure of how a patient feels, functions, or  
7 survives, but really captures what we mentioned  
8 earlier, a clinically relevant and important  
9 treatment benefit. A surrogate endpoint predicts  
10 clinical benefit but is not a direct measure of  
11 clinical benefit. In this instance, the endpoint  
12 has been fully clinically validated to predict  
13 clinical benefit.

14           Next, is a surrogate endpoint reasonably  
15 likely to predict clinical benefit. In this  
16 scenario, the existing data suggest that this may  
17 be a predictor of clinical benefit but we lack  
18 robust validation data to confirm that it is a  
19 surrogate. Lastly, there are intermediate clinical  
20 endpoints, which are measurements of therapeutic  
21 effect that can be measured earlier than morbidity  
22 or mortality and are deemed reasonably likely to

1 predict clinical benefit. The first two endpoints  
2 are used to support regular approval, while the  
3 last two are used to support accelerated approval.

4 Most accelerated approvals in oncology are  
5 based on intermediate clinical endpoints. We have  
6 used overall response rate, progression-free  
7 survival, and EFS in several diseases and have  
8 deemed them as intermediate clinical endpoints, as  
9 robust data are not available to support that these  
10 are surrogates, and there may even be data to  
11 suggest that they are not surrogates. It is rare  
12 that there are true surrogates in oncology.

13 In order for a biomarker to be a true  
14 surrogate for a long-term outcome of interest, it  
15 should be in the causal pathway between treatment  
16 of the disease and the true clinical endpoint of  
17 interest. In this figure, the biomarker of  
18 interest is within the causal pathway of the  
19 disease and directly impacts the clinical endpoint  
20 of interest. The classic example is CD4 count in  
21 HIV. One could argue that BCR/ABL in CML also has  
22 a similar fundamental relationship between the



1 disease, biomarker, treatment, and clinical  
2 endpoint of interest.

3 More typical in oncology, there may be  
4 multiple pathways through which the disease can  
5 have an impact on survival, and not all may be  
6 measured by the biomarker. Additionally, the  
7 intervention may not have the same degree of impact  
8 on pathways measured by the biomarker or other  
9 pathways. Lastly, the intervention may affect the  
10 true clinical endpoint by mechanisms that are  
11 independent of the disease process. In oncology,  
12 there are very few true surrogates, and most of the  
13 endpoints we use to support accelerated approval  
14 are intermediate clinical endpoints. I'd like to  
15 share some considerations for how novel endpoints  
16 can be developed in oncology in light of this.

17 Historically, the Prentice criteria have  
18 been put forth as statistical operational criteria  
19 to validate potential surrogates. The Prentice  
20 criteria could be summarized as, one, a requirement  
21 that the surrogate must be a correlate of the true  
22 clinical endpoint; and, two, the treatment effect

1 on the surrogate should capture the full effect of  
2 treatment on the true clinical endpoint.

3 It is generally thought that the Prentice  
4 criteria are too stringent and not attainable. As  
5 such, other statistical methods have been developed  
6 to validate a proposed candidate surrogate. One  
7 approach relies on meta-analysis data. When  
8 considering using a meta-analysis for validation of  
9 a surrogate, there should be patient-level data  
10 from multiple clinical trials. This allows for  
11 assessment of both individual-level and trial-level  
12 surrogacy.

13 Individual surrogacy is the correlation  
14 between the candidate surrogate and the true  
15 clinical endpoint on an individual patient level;  
16 trial-level surrogacy is the correlation between  
17 effective treatment on the candidate surrogate and  
18 the effective treatment on the true clinical  
19 endpoint; and the surrogate threshold effect is the  
20 minimum treatment effect on the surrogate necessary  
21 to predict a non-zero effect on the true clinical  
22 endpoint.

1           Other meta-analysis considerations are that  
2 inclusion of more trials increases the statistical  
3 rigor of the analysis and may allow for more  
4 interrogation of the data to address any remaining  
5 uncertainties. Inclusion of both positive and  
6 negative trials increases the accuracy and  
7 precision of the trial-level surrogacy assessment.  
8 When designing a meta-analysis, consideration of  
9 the biomarker timing and amount of missing data is  
10 important. Lastly, the trial populations and  
11 treatments included in the meta-analysis inform the  
12 future applicability of the surrogate biomarker.

13           There are caveats regarding the use of a  
14 surrogate endpoint, even those that are fully  
15 clinically validated. First, the use of the  
16 surrogate may not be appropriate for subpopulations  
17 or future trial populations if there are  
18 significant differences between the meta-analysis  
19 population and the new trial population.  
20 Additionally, the use of the surrogate may not be  
21 appropriate for therapeutic modalities that have a  
22 substantially different mechanism of action than

1 those of the therapeutics included in the  
2 meta-analysis.

3 I'd like to share an example that  
4 underscores the importance of understanding the  
5 relationship between the potential surrogate  
6 endpoint and the true clinical endpoint of interest  
7 and potential risks associated with the use of  
8 early endpoints. The Cardiac Arrhythmia  
9 Suppression Trial, or the CAST trial, was designed  
10 to evaluate the hypothesis that suppression of  
11 asymptomatic, post-ventricular contractions  
12 post-myocardial infarction would reduce the  
13 incidence of arrhythmic death and was not a test of  
14 a particular drug.

15 In the late '80s and '90s when this trial  
16 was conducted, there were multivariate analyses  
17 which demonstrated that arrhythmias after MI were  
18 associated with worse overall survival. It was  
19 recognized as a prognostic biomarker. So this  
20 trial was designed to test if suppression of  
21 post-MI PVCs correlated with long-term clinical  
22 outcomes of overall survival.

1           Subjects were patients with a history of MI  
2           in the preceding 6 days to 2 weeks, and all  
3           subjects were treated with class 1C antiarrhythmics  
4           in the open-label titration phase. If patients  
5           tolerated the drug and had suppression of their  
6           PVCs, they were then randomized to one of the drugs  
7           or placebo. Again, the main focus of this trial  
8           was evaluation of the surrogate endpoint and the  
9           hypothesis that suppression of PVCs post-MI would  
10          reduce the incidence of arrhythmic death.

11          The surprising results demonstrated that in  
12          patients receiving class 1C antiarrhythmic agents,  
13          there was a 3.6-fold increase in arrhythmic death  
14          and cardiac arrest despite all patients tolerating  
15          the drugs and demonstrating PVC suppression during  
16          the open-label dose titration phase of the trial.  
17          If suppression of PVCs post-MI had been relied upon  
18          as a surrogate endpoint, disastrous consequences  
19          could have occurred.

20          The finding of divergent early endpoints in  
21          overall survival has been observed in several other  
22          settings as well, notably, the PI3 kinase

1 inhibitors in follicular lymphoma. There were six  
2 trials of various PI3 kinase inhibitors, which  
3 demonstrated potential detriments in overall  
4 survival, and in all but one trial, the potential  
5 overall survival detriments were in the setting of  
6 favorable overall response rates and  
7 progression-free survival hazard ratios. These  
8 trials were conducted in indolent lymphomas where  
9 patients have the potential for long survival  
10 outcomes.

11 Progression-free survival is often used in  
12 these settings, but overall survival information is  
13 still captured and evaluated. In these trials,  
14 there was limited and early overall survival  
15 information, but the overall survival findings were  
16 accompanied by higher rates of death in several of  
17 these trials compared to the control arm.

18 I'd like to conclude by sharing some  
19 Oncology Center of Excellence initiatives related  
20 to endpoint development that were initiated, in  
21 part, due to some of these observations. Project  
22 Endpoint is an OCE initiative to enhance

1 development of endpoints in oncology drug  
2 development. It aims to explore uses for early  
3 novel endpoints, foster engagement with the broader  
4 community on development of these novel endpoints,  
5 and aims to advance the use of late endpoints as  
6 well, recognizing the complementary nature of early  
7 and late endpoints.

8 In July 2023, the FDA in OCE's Project  
9 Endpoint co-sponsored a public workshop with the  
10 American Association for Cancer Research and the  
11 American Statistical Association on overall  
12 survival in oncology clinical trials. The  
13 objectives of this workshop were to discuss best  
14 practices of trial design, analyses, and  
15 interpretation of overall survival in oncology  
16 clinical trials; explore approaches to address the  
17 uncertainty of overall survival analyses based on  
18 early or limited data; and advance methods to  
19 incorporate overall survival when it's not the  
20 primary endpoint or a secondary endpoint, with  
21 particular attention on the assessment of overall  
22 survival as a safety endpoint that can be measured

1 to evaluate for potential harm.

2 So there are risks associated with the use  
3 of any early endpoint. The risks associated with  
4 use of early endpoints can be mitigated by  
5 assessment of late endpoints as well, which was the  
6 objective of the overall survival workshop, overall  
7 survival as a safety endpoint. If an early  
8 endpoint is used to support accelerated approval,  
9 there is a requirement for the conduct of a  
10 confirmatory trial.

11 Recently, new regulatory authorities were  
12 enacted with the Consolidated Appropriations Act of  
13 2023. This provides FDA with the authority to  
14 require a confirmatory trial be underway prior to  
15 granting accelerated approval. This also created a  
16 formal expedited withdrawal procedure for drugs  
17 approved through the accelerated approval pathway  
18 in which the confirmatory study failed to verify  
19 the anticipated clinical benefit.

20 So in conclusion, novel endpoints have the  
21 potential to expedite drug development. Endpoints  
22 used to support regulatory decisions should provide



1 a valid and reliable measure of a clinically  
2 meaningful and important treatment benefit. Most  
3 endpoints that support accelerated approval in  
4 oncology are not surrogate endpoints but rather  
5 intermediate clinical endpoints. To minimize the  
6 risks associated with use of intermediate clinical  
7 endpoints, or any early endpoint, later endpoints  
8 such as overall survival should also be evaluated.

9 Thank you very much for your attention.  
10 Next, Dr. Bindu Kanapuru will introduce the topics  
11 for today's discussion.

12 **FDA Introductory Remarks - Bindu Kanapuru**

13 DR. KANAPURU: Thank you, Dr. Gormley.

14 Good morning. I'm Bindu Kanapuru, a  
15 hematologist/oncologist physician and the Associate  
16 Director of the Division of Hematologic  
17 Malignancies II at the FDA. I will introduce the  
18 topics for today's discussion and provide a brief  
19 overview of multiple myeloma and minimal residual  
20 disease, henceforth referred to as MRD.

21 Today's discussion will not focus on  
22 specific products; rather, we would like the

1 committee to discuss the adequacy of the available  
2 data to support the use of MRD as an accelerated  
3 approval endpoint in multiple myeloma.

4 Additionally, we request the committee's input on  
5 the adequacy of the data to support the use of MRD  
6 as an endpoint in different multiple myeloma  
7 disease settings, the acceptability of the  
8 time points for MRD assessment, and whether an  
9 assessment of durability is required. We look  
10 forward to a robust discussion on these topics.

11 We would like the committee to consider the  
12 following voting question. Does the evidence  
13 support the use of MRD as an accelerated approval  
14 endpoint in multiple myeloma clinical trials? With  
15 these topics and voting questions in mind, I'll  
16 begin my overview of multiple myeloma disease and  
17 the treatment landscape.

18 Multiple myeloma is a plasma cell disorder  
19 that is characterized by clonal proliferation of  
20 malignant plasma cells in the bone marrow and an  
21 overproduction of monoclonal immunoglobulins, with  
22 monoclonal protein in the blood or urine leading to

1 characteristic end-organ damage. Multiple myeloma  
2 is characterized by frequent relapses --

3 DR. STEVENSON: Excuse me, Bindu.

4 DR. KANAPURU: -- shortening periods of  
5 remission, and --

6 DR. STEVENSON: I'm sorry. Excuse me,  
7 Bindu; apologies for the interruption. Could you  
8 please shift over to the right?

9 DR. KANAPURU: Multiple myeloma is  
10 characterized by frequent relapses, shortening  
11 periods of remission, and ultimately development of  
12 refractory disease in many cases. The diagnosis  
13 and staging of multiple myeloma are based on  
14 well-established criteria. The International  
15 Myeloma Working Group established criteria to  
16 assess response to treatment in multiple myeloma.  
17 The standard response criteria are based on the  
18 depth of reduction in monoclonal protein, or free  
19 light chains, and bone marrow assessment of plasma  
20 cells.

21 The treatment of multiple myeloma is  
22 distinctly divided into options for patients who

1 are newly diagnosed and those with relapsed or  
2 refractory disease. In the newly diagnosed  
3 setting, treatment is generally based on whether  
4 the patient is eligible for an autologous stem cell  
5 transplant. In the relapsed or refractory setting,  
6 treatments are considered based on the types of  
7 prior therapies and response to the previous  
8 therapies.

9           There has been tremendous progress in drug  
10 development in multiple myeloma over the years.  
11 Multiple therapies and combination regimens are  
12 currently approved. These include therapies with  
13 different mechanisms of action, including  
14 immunomodulatory drugs, proteasome inhibitors,  
15 CD38 monoclonal antibodies, and more recently,  
16 chimeric antigen receptor T cell therapies and  
17 T cell directed by specific antibodies.

18           These treatment advances have resulted in  
19 substantial improvements in the outcomes for  
20 patients with multiple myeloma across disease  
21 settings; however, despite the availability of  
22 multiple therapies, multiple myeloma remains an

1 incurable disease with a 5-year relative survival  
2 rate of less than 60 percent, and there remains a  
3 need for safe and effective therapies.

4           With that disease background, I would like  
5 to briefly review the approval pathways and  
6 endpoints used for approval of new therapies or  
7 indications in multiple myeloma. Both regular and  
8 accelerated approval pathways, as described  
9 previously, have supported approval of therapies  
10 for the treatment of patients with multiple  
11 myeloma. While overall survival is the ultimate  
12 clinical benefit endpoint, in diseases with long  
13 natural history such as multiple myeloma,  
14 progression-free survival has supported regular  
15 approval; however, overall survival is always  
16 assessed. Recent clinical trials have demonstrated  
17 substantially improved progression-free survival  
18 and overall survival results.

19           In multiple myeloma, accelerated approvals,  
20 based on an endpoint of overall response rate  
21 supported by duration of response, has expedited  
22 the approval of new therapies. Approval in overall

1 response rate can be assessed earlier than  
2 progression-free survival and overall survival and  
3 reduction in tumor burden, as measured by overall  
4 response, is considered clinically relevant.

5 This figure shows the response rates  
6 observed with selected recent therapies approved  
7 for the treatment of multiple myeloma. As shown  
8 here, current approved therapies have demonstrated  
9 high overall response rates both in the newly  
10 diagnosed and relapsed or refractory setting.  
11 Specifically, we are now seeing response rates with  
12 single agents in a very relapsed patient population  
13 that are as high as those observed with combination  
14 regimens evaluated in earlier line settings.

15 Developing new drugs or therapies in  
16 multiple myeloma has become challenging, with the  
17 availability of highly effective regimens, and  
18 demonstrating statistically significant difference  
19 in overall response rates may require infeasibly  
20 large clinical trials, so there is an interest in  
21 having response assessments that can better  
22 discriminate the treatment effect of new therapies

1 and that could potentially serve as an endpoint to  
2 expedite drug development in multiple myeloma.

3 This brings us to the focus of our  
4 discussion today. In multiple myeloma, advances in  
5 technologies have enabled an assessment of  
6 clearance of residual tumor cells at orders of  
7 magnitude or threshold below the limit of  
8 conventional response categories in the bone marrow  
9 or MRD. MRD allows for a more sensitive and a  
10 deeper level of response.

11 Specifically regarding the methods, cellular  
12 flow-based methods are widely available and utilize  
13 specific markers to distinguish tumor plasma cells  
14 in the bone marrow from normal plasma cells.  
15 Sequencing-based methods identify specific clonal  
16 rearrangements of the immunoglobulin gene in the  
17 tumor cells from the bone marrow. The dominant  
18 sequence identified in the baseline sample can be  
19 monitored over time and assessed at the time of  
20 relapse for residual multiple myeloma disease.

21 Considering the emerging data on MRD, the  
22 International Myeloma Working Group in 2016 updated

1 the standard response criteria for multiple myeloma  
2 to include the definition of MRD negativity. The  
3 criteria recommended evaluation of MRD negativity  
4 in patients who have achieved complete response or  
5 better. MRD can be assessed by either flow or  
6 sequencing methods, with a minimum sensitivity to  
7 detect one tumor cell in 100,000 normal cells,  
8 thereby allowing assessment of a deeper level of  
9 response. The criteria also include a definition  
10 for sustained MRD negativity, allowing an  
11 assessment of durability.

12 These advances have increased interest in  
13 evaluating the use of MRD to support regulatory  
14 decisions. Clinical trials designed to support  
15 approval of multiple myeloma therapies have  
16 evaluated MRD response in addition to traditional  
17 response endpoints, and several studies and  
18 literature-based meta-analysis have evaluated the  
19 impact of MRD with long-term clinical outcomes of  
20 progression-free survival and overall survival.

21 This slide depicts two previous  
22 meta-analyses of published data in patients with



1 multiple myeloma. These meta-analyses show that  
2 patients who achieved MRD negativity versus those  
3 who remained MRD positive had better  
4 progression-free survival. In studies that had  
5 information on MRD and overall survival, patients  
6 who achieved MRD negativity also had better overall  
7 survival. Although a more recent analysis included  
8 relapsed/refractory multiple myeloma trials, the  
9 majority of these studies and the meta-analysis  
10 included patients with newly diagnosed multiple  
11 myeloma and there were differences in assessment  
12 time points in these studies.

13           Recently, patient-level meta-analyses of  
14 multiple clinical trials in both the newly  
15 diagnosed in relapsed or refractory settings, and  
16 with consistent time points of MRD assessments,  
17 have been conducted to evaluate the strength of  
18 evidence of MRD with long-term clinical outcomes of  
19 progression-free survival and overall survival.  
20 You will hear the results of these patient-level  
21 meta-analyses following my presentation.

22           I will now highlight some key aspects to

1 consider when thinking about the use of MRD to  
2 support regulatory decisions for approval. For any  
3 endpoint, an accurate measure of the endpoint is  
4 important. For an MRD endpoint, the assays used  
5 for measurement of MRD is an important  
6 consideration. As stated previously, assays for  
7 MRD measurements in multiple myeloma generally use  
8 flow-based or sequencing-based platforms. While  
9 FDA is generally agnostic to the assay used, the  
10 assay should have adequate performance. The assay  
11 should be appropriately validated for the context  
12 of use. The MRD threshold should be within the  
13 limit of detection of the assay, and they should be  
14 standardized procedures for sample collection and  
15 processing.

16 The importance of the assay performance on  
17 the utility of the MRD data for regulatory purpose  
18 is highlighted by a recent FDA analysis. In this  
19 analysis, only 42 percent of the trials in multiple  
20 myeloma that evaluated MRD response were deemed  
21 adequate for inclusion in the prescribing  
22 information. The leading reasons for excluding MRD

1 data from the prescribing information were  
2 analytical and test validation deficiencies  
3 followed by performance issues; for example,  
4 inability to identify a clone and issues with trial  
5 conduct or design such as inadequate data  
6 collection. If MRD is to support approval of  
7 multiple myeloma therapies, the assay used for MRD  
8 measurement should be appropriately validated and  
9 the data should be robust.

10 Another consideration is the risk that may  
11 be associated with approvals based on intermediate  
12 clinical endpoints, as has been previously  
13 described; that is, the treatment effect on the  
14 early endpoint may not translate to long-term  
15 outcomes of clinical benefit. In this context, I  
16 would like to briefly mention the BELLINI trial.

17 This trial was a randomized trial that  
18 evaluated the addition of venetoclax to bortezomib  
19 and dexamethasone. The trial met its primary  
20 endpoint to demonstrate superior progression-free  
21 survival in the venetoclax or investigational arm  
22 compared to the standard of care arm. The overall

1 response rates and the MRD negativity rates were  
2 also higher in the treatment arm compared to the  
3 standard of care arm.

4 As you can see here, despite an improvement  
5 in progression-free survival, overall response  
6 rates and MRD negativity rates in the venetoclax  
7 arm compared to the placebo arm, the trial results  
8 demonstrated an increased risk of death for  
9 patients receiving venetoclax as compared to the  
10 standard of care arm. The BELLINI trial results  
11 serve as a caution that deeper responses may not  
12 always translate to improved long-term outcomes and  
13 highlights the need for an assessment of early  
14 endpoints and late clinical benefit endpoints in  
15 multiple myeloma.

16 If MRD is used as an accelerated approval  
17 endpoint in multiple myeloma, there is a risk that  
18 improvement in MRD may not predict clinical benefit  
19 with long-term follow-up; however, this is a risk  
20 with the use of any intermediate clinical endpoint.  
21 Certain provisions in the accelerated approval  
22 regulations, as mentioned previously, can

1 potentially mitigate this risk. I will reiterate a  
2 few of these.

3 For therapies granted accelerated approval,  
4 subsequent verification of clinical benefit will be  
5 required. In December 2022, the Congress passed  
6 the Food and Drug Omnibus Reform Act that provided  
7 FDA with the authority to require a confirmatory  
8 trial to be underway prior to accelerated approval.  
9 Additionally, these regulations also create a  
10 formal expedited withdrawal procedure for removal  
11 of approvals of drugs that do not verify clinical  
12 benefit from the market. These authorities  
13 minimize the risk for granting an accelerated  
14 approval based on an intermediate clinical endpoint  
15 such as MRD.

16 In summary, in multiple myeloma, MRD has the  
17 potential to expedite drug development. MRD is the  
18 most sensitive measure of tumor burden, and  
19 achieving a deeper level of response with MRD may  
20 be associated with improvement in long-term  
21 outcomes. Specific regulatory considerations exist  
22 in the evaluation of potential new endpoints to

1 support approval.

2 Today, you will hear the results of  
3 patient-level meta-analysis conducted by two  
4 independent applicants, the University of Miami and  
5 the I2TEAMM, and the FDA evaluating the association  
6 of MRD with long-term clinical outcomes of  
7 progression-free survival and overall survival. We  
8 request the committee to consider the data  
9 presented and look forward to a robust discussion.  
10 Thank you.

11 DR. NOWAKOWSKI: Thank you, Dr. Kanapuru.

12 Both the Food and Drug Administration and  
13 the public believe in a transparent process for  
14 information gathering and decision making. To  
15 ensure such transparency at the advisory committee  
16 meeting, FDA believes that it is important to  
17 understand the context of an individual's  
18 presentation.

19 For this reason, FDA encourages all  
20 participants, including the industry and  
21 non-employee presenters, to advise the committee of  
22 any financial relationships that they may have with

1 industry, such as consulting fees, travel expenses,  
2 honoraria, and interest in the sponsor, including  
3 equity interests and those based on the outcome of  
4 the meeting.

5 Likewise, FDA encourages you at the  
6 beginning of your presentation to advise the  
7 committee if you do not have such financial  
8 relationships. If you choose not to address this  
9 issue of financial relationships at the beginning  
10 of your presentation, it will not preclude you from  
11 speaking.

12 We will now proceed with the first industry  
13 presentation from Sylvester Comprehensive Cancer  
14 Center, University of Miami. Thank you.

15 **Industry Presentation - C. Ola Landgren**

16 DR. LANDGREN: Good morning. I'm Dr. Ola  
17 Landgren. I have no financial interest in the  
18 outcome of this meeting. I'm a myeloma expert with  
19 more than 30 years of scientific leadership and  
20 clinical experience in translational cancer  
21 medicine, focusing on multiple myeloma. Over the  
22 past two decades, I have served as the leader for

1 large myeloma programs at the National Cancer  
2 Institute at the NIH, Memorial Sloan Kettering  
3 Cancer Center in New York City, and Sylvester  
4 Comprehensive Cancer Center at the University of  
5 Miami.

6 I am delighted to present the EVIDENCE  
7 meta-analysis. The aim of the study is to evaluate  
8 minimal residual disease, MRD, as an early clinical  
9 endpoint for multiple myeloma. I serve as the lead  
10 principal investigator for the EVIDENCE  
11 meta-analysis, and I work closely with our lead  
12 statistician, Dr. Sean Devlin, and all our pharma  
13 and academic partners.

14 Dr. Devlin and I have complementary  
15 expertise, and we are both academic full-time  
16 faculty members. Over the years, we have published  
17 extensively on MRD in multiple myeloma. For  
18 example, in 2015, we published a comprehensive  
19 review article in Nature Reviews Clinical Oncology,  
20 and in 2016, we published the first meta-analysis  
21 on MRD in multiple myeloma. We have published  
22 several original studies using MRD testing in



1 multiple myeloma clinical trials.

2           The EVIDENCE meta-analysis is a worldwide  
3 collaborative effort with pharmaceutical companies  
4 and academic institutions. It was initiated in  
5 2009. It has evolved gradually over time.  
6 Currently, we have data on over 8,000 patients.  
7 The data come from 16 high-quality data sets with  
8 MRD data from assays, which were validated to a  
9 sensitivity level of at least 10 to minus 5, or  
10 1 cell in 100,000, and that is the established  
11 cutoff for MRD negativity as defined by the  
12 International Myeloma Working Group criteria, the  
13 NCCN guidelines, and the FDA. Our mission is  
14 driven by the unmet need of patients diagnosed with  
15 multiple myeloma.

16           For multiple myeloma, we do not yet have an  
17 established curative treatment. The most effective  
18 treatments are in the first line. With our current  
19 endpoint, progression-free survival and overall  
20 survival, studies for patients with newly diagnosed  
21 multiple myeloma were taking a long time to mature.  
22 New effective therapies are unavailable to patients

1 for more than 10 years while waiting for studies to  
2 mature. We're here today to answer the question,  
3 can MRD serve as an objective and reliable early  
4 endpoint for accelerated approval in multiple  
5 myeloma to facilitate patients access to new drugs?

6 As I mentioned before, the EVIDENCE  
7 meta-analysis started in 2009, and we started as an  
8 interagency initiative between investigators of the  
9 Intramural National Cancer Institute, the National  
10 Heart Lung and Blood Institute, and the FDA.  
11 Eventually, in 2012, we organized a round table on  
12 MRD in myeloma here in this building at the FDA in  
13 Silver Spring. Several of today's participants  
14 were there, Dr. Gormley, Dr. Paiva, Dr. Durie, me,  
15 and others.

16 In 2014, we published a conference paper.  
17 In 2014, I also initiated an MRD in myeloma  
18 meeting, where we invited all the key leaders in  
19 the field, myeloma patient organizations and the  
20 FDA. And since the inception, we have had  
21 well-attended annual meetings and the FDA has  
22 participated every year.

1           In less than a month, on May 9th, we have  
2           the 11th Annual MRD myeloma meeting hosted by the  
3           Myeloma Institute at the University of Miami in  
4           collaboration with the International Myeloma  
5           Foundation, the Multiple Myeloma Research  
6           Foundation, the HealthTree Foundation for Multiple  
7           Myeloma, and the FDA will participate in the  
8           meeting as well.

9           In 2015, I filed an IND as the principal  
10          investigator for this academic study, which is a  
11          partnership with former companies in academia. The  
12          same year, we started developing a statistical  
13          analysis plan in collaboration with the FDA.  
14          Transfer of data sets from pharma and academic  
15          partners started in 2016 and continue to this day.  
16          Also, we had many meetings with the FDA, including  
17          in-person meetings here in Silver Spring, as well  
18          as virtual meetings.

19          In the end of 2021, the statistical analysis  
20          plan was approved by the FDA. In 2023, we  
21          completed the preplanned analysis and submitted all  
22          the results to the FDA mid 2023, and during

1 follow-up discussions with the FDA in late 2023, we  
2 were told that we will be invited to present at an  
3 ODAC meeting in the coming months. Today, we are  
4 here together at this April 12, 2024 ODAC meeting,  
5 and as you can see, we have worked relentlessly on  
6 this study for 15 years, and we have continued FDA  
7 feedback throughout the entire process.

8 I will now give you a brief background on  
9 multiple myeloma's unmet medical need and the role  
10 of MRD. Multiple myeloma is a plasma cell  
11 malignancy that can manifest in many different  
12 ways. Commonly, patients have lytic bone lesions,  
13 anemia, and sometimes patients present with  
14 hypercalcemia and renal failure, and the disease  
15 can also cause immunosuppression leading to  
16 infections. Other symptoms and abnormalities are  
17 sometimes present.

18 In the United States, more than 35,700 new  
19 multiple myeloma cases are diagnosed annually and  
20 over 170,000 people are living with this disease  
21 here in the United States. Blacks have a two-fold  
22 higher incidence of multiple myeloma and about a

1 10-year earlier age of onset compared to  
2 Caucasians. New therapeutic approaches have  
3 resulted in substantial improvements in  
4 progression-free survival for patients with newly  
5 diagnosed myeloma and relapsed myeloma. Despite  
6 numerous new drugs in recent years, there is no  
7 established curative treatment, and this is  
8 reflected in 12,500 deaths in the United States in  
9 2023 due to multiple myeloma.

10 Although several new drugs have been  
11 developed in the past years, there remains a  
12 significant and a critical unmet need for new  
13 therapeutic options to better control the disease,  
14 to provide deep and sustained responses, to safely  
15 deliver long-term clinical benefits, and to seek a  
16 cure for this disease.

17 An important clinical piece of information  
18 is that large numbers of patients are lost at every  
19 line of therapy. Data show that up to 35 percent  
20 of patients will not make it to the next line, and  
21 as expected, the most effective treatment happens  
22 in the first line of therapy. If MRD is approved

1 as an early clinical endpoint for multiple myeloma,  
2 new therapies could be made available to patients  
3 more quickly than today.

4 Currently, clinical trials in newly  
5 diagnosed multiple myeloma use progression-free  
6 survival as the endpoint to demonstrate clinical  
7 benefit of a new treatment regimen for full  
8 approval. The FDA's decision to endorse  
9 progression-free survival as the regulatory  
10 endpoint has facilitated the development of several  
11 new effects to multiple myeloma drugs over the past  
12 15 years, and the success is reflected in the  
13 improvement of progression-free survival rates and  
14 quality of lives for many patients overtime.

15 Clearly, demonstrating a treatment effect on  
16 PFS entails waiting for enough PFS events to occur,  
17 and based on PFS results in recent multiple myeloma  
18 clinical trials, after all patients have been  
19 enrolled, comparative studies may now require over  
20 8 years to show a statistically significant effect  
21 of a new therapy. Current clinical trials for  
22 patients with newly diagnosed myeloma take at least

1 two years to recruit and enroll due to the large  
2 sample size needed to ensure sufficient statistical  
3 power, and as mentioned before, it takes over  
4 8 years to show a statistically significant effect  
5 for a new therapy on PFS.

6 So as we can see today, it takes over  
7 10 years for a new therapy to be developed for the  
8 patient with newly diagnosed multiple myeloma, and  
9 this is something that can be significantly  
10 shortened with MRD approved as an early endpoint.

11 As we all know, the FDA has launched  
12 important initiatives to help multiple myeloma drug  
13 development. The accelerated approval pathway has  
14 been implemented to grant approval based on  
15 intermediate endpoints reasonably likely to predict  
16 clinical benefit and can be measured earlier than  
17 disease progression or death. Project FrontRunner  
18 has been launched to encourage development of  
19 treatments that may benefit patients in an earlier  
20 stage of the disease rather than the usual  
21 sequential approach.

22 For multiple myeloma, overall response rate

1 has been identified as an intermediate endpoint  
2 reasonably likely to predict clinical benefit on  
3 the basis of accelerated approval; however, in  
4 newly diagnosed multiple myeloma, overall response  
5 rate is challenging to use as an endpoint.

6 This slide is very important, and it  
7 illustrates the dilemma with overall response rate  
8 as an intermediate endpoint for accelerated  
9 approval. Using RVD or D-RVD therapy as a control  
10 group, the overall response rate in the control  
11 group will be over 92 percent, close to 99 percent.  
12 One may argue that we don't need any further  
13 treatment in newly diagnosed multiple myeloma  
14 because ORR is so high; however, ORR only requires  
15 50 percent reduction of the disease, and patients  
16 with residual disease will inevitably suffer from  
17 relapse and refractoriness.

18 In newly diagnosed multiple myeloma, there  
19 is an unmet need until we have curative therapies.  
20 It is no longer possible to develop new therapies  
21 for patients with newly diagnosed multiple myeloma  
22 with ORR in the accelerated approval pathway. To



1 accelerate the availability of new and effective  
2 treatments for patients with multiple myeloma, an  
3 objective and reliably measured early endpoint that  
4 is reasonably likely to predict long-term outcomes  
5 and clinical benefit is urgently needed.

6           Several studies by us and other groups have  
7 demonstrated that minimal residual disease  
8 negativity is associated with improved progression-  
9 free survival and suggests the depth of response,  
10 as demonstrated by MRD negativity, may potentially  
11 be used to reliably predict both PFS and OS in  
12 patients with multiple myeloma. MRD is a measure  
13 of the number of multiple myeloma cells in the  
14 patient's bone marrow, and it's often used in  
15 patients with complete response to further quantify  
16 the depth of response of treatment beyond CR.

17           In 2020, the FDA published Industry Guidance  
18 on Regulatory Considerations for the use of MRD in  
19 development for drug and biologic products for  
20 treatments, and the final FDA guidance described  
21 two potential uses of MRD: a validated surrogate  
22 endpoint for traditional approval and a surrogate

1 endpoint reasonably likely to predict clinical  
2 benefit for accelerated approval. In both these  
3 cases, the guidance explained that the strength of  
4 evidence required for surrogate endpoint is based  
5 on the biological plausibility of the relationship;  
6 demonstration of the prognostic value of the  
7 surrogate endpoint for clinical outcome; and  
8 evidence from clinical trials shows that the  
9 treatment effects on the surrogate endpoint  
10 correspond to the effect of the long-term clinical  
11 outcome. MRD fulfills all these criteria in  
12 multiple myeloma.

13 We were motivated to design and conduct an  
14 analysis based on FDA guidance for a meta-analysis  
15 of MRD as a clinical endpoint and potential basis  
16 for accelerated approval, with the aim to assess  
17 the prognostic value of bone marrow MRD negativity  
18 and prediction of the treatment effects for PFS and  
19 OS in clinical trials of patients with newly  
20 diagnosed multiple myeloma. And our results, as  
21 you will see shortly, support the consideration of  
22 MRD as an early clinical endpoint reasonably likely

1 to predict clinical benefit in multiple myeloma  
2 that may be used to support accelerated approval,  
3 and thereby expedite approval and adoption of novel  
4 therapeutic agents for treatment of patients with  
5 newly diagnosed multiple myeloma.

6 I will now introduce to you the EVIDENCE  
7 meta-analysis. Based on guidance from the FDA, our  
8 statistical analysis plan, the main analysis,  
9 focuses on patients with newly diagnosed multiple  
10 myeloma. A prespecified time point to evaluate MRD  
11 status was jointly agreed upon by our lead  
12 statistician, the FDA collaborators, and me, and we  
13 used 12 months with a window of 3 months as the  
14 time points.

15 Based on guidance from the FDA, patients in  
16 complete remission of CR but without MRD evaluation  
17 were annotated as MRD positive, and we used the  
18 intention-to-treat approach. We only included  
19 studies which used MRD assays with a sensitivity  
20 level of 10 to minus 5, 1 cell in 100,000, which is  
21 the established cutoff for MRD negativity by the  
22 International Myeloma Working Group, the NCCN

1 guidelines, and the FDA.

2 We have included patient-level data from  
3 randomized-controlled trials that meet the  
4 following criteria. Phase 2 or phase 3  
5 randomized-controlled trials enrolled patients with  
6 newly diagnosed multiple myeloma independent of  
7 transplant status; performed validated MRD assays;  
8 and MRD negativity was specified as a primary,  
9 secondary, or exploratory endpoint on the protocol,  
10 and the trial had a median follow-up of at least  
11 6 months beyond the time point of 12 months, I  
12 mentioned earlier.

13 The primary objectives of our study are to  
14 evaluate whether MRD negativity, while in a CR at  
15 an a priori defined time point, is a reasonably  
16 likely endpoint for clinical benefit as measured by  
17 PFS in newly diagnosed myeloma and for patients  
18 that are transplant eligible; and secondly, to  
19 evaluate MRD negativity the same way in patients  
20 that are transplant ineligible.

21 The key secondary objectives of our study  
22 are to evaluate whether MRD negativity, while in

1 the CR at an a priori defined time point, is a  
2 reasonably likely endpoint for clinical benefit as  
3 measured by PFS in newly diagnosed myeloma  
4 independent of transplant status; and lastly, to  
5 evaluate whether MRD negativity is a reasonably  
6 likely endpoint to predict clinical benefit as  
7 measured by overall survival.

8 I will now hand over to my colleague,  
9 Dr. Sean Devlin, who will present data,  
10 methodology, and results from the EVIDENCE  
11 meta-analysis.

12 **Industry Presentation - Sean Devlin**

13 DR. DEVLIN: Thank you, Dr. Landgren.

14 My name is Sean Devlin. I'm a statistician  
15 at Memorial Sloan Kettering. Before I begin, I  
16 would like to state I have no financial interest in  
17 the outcome of this study.

18 We started with 16 randomized studies that  
19 included MRD evaluations using an assay that was  
20 validated to a sensitivity of 10 to minus 5. Among  
21 those 16 trials, a few had to be excluded because  
22 either too many patients were missing the 12-month

1 MRD evaluation or too few patients achieved MRD  
2 negativity during the 12-month window. That left  
3 us with seven newly diagnosed trials. One of those  
4 trials had multiple randomized arms that we could  
5 separate to provide two separate treatment  
6 contrasts; therefore, in the newly diagnosed  
7 population, we had eight two-arm comparisons. In  
8 this, we had 4,907 patients included.

9 In the transplant-eligible population, we  
10 had three two-arm comparisons and included  
11 1,686 subjects and five two-arm comparisons in the  
12 transplant-ineligible population, totaling 3,221.  
13 We additionally had four trials in the  
14 relapsed/refractory setting with 1835 subjects;  
15 however, we focused our analysis where we had the  
16 most data, and that was in newly diagnosed multiple  
17 myeloma.

18 Our methodology, the analytic framework for  
19 evaluating MRD as a reasonably likely endpoint for  
20 clinical benefit, followed the FDA's guidance on  
21 evaluating MRD using a meta-analysis. There are  
22 two different associations that we examine. We

1 first look at the trial-level association; is the  
2 treatment effect on the MRD endpoint correlated  
3 with the treatment effect on the long-term  
4 endpoint? In addition, we look at the individual-  
5 level association; is the attainment of MRD  
6 negativity prognostic for your long-term endpoint?

7 For trial-level association, there are two  
8 general approaches that are used. For this, we  
9 look at the coefficient determination R-squared,  
10 which can be estimated using weighted least squares  
11 or copula. For weighted least squares, we have two  
12 separate models. We have the treatment effect on  
13 our MRD endpoint using logistic regression and our  
14 treatment effect on our long-term endpoint using  
15 Cox regression. Then across our different trials,  
16 we look at the correlation between the treatment  
17 effects across the trials. Using weighted least  
18 squares, we can weigh either by the total sample  
19 size or the standard error from our logistic  
20 regression model.

21 Another approach is to use the copula model  
22 to estimate R-squared. This model accounts for the

1 fact that we have the same patients included in  
2 those two models, and it's accounting for the  
3 patient-level correlation. This methodology has  
4 been developed and widely used in oncology to look  
5 at intermediate endpoints or validated surrogates.  
6 This methodology, in general, is the same  
7 methodology that's used by our colleagues in the  
8 I2TEAMM.

9 In addition, we look at the individual-level  
10 association. From that Plackett copula, there is  
11 an odds ratio that's our parameter of interest, and  
12 it's interpreted that the ratio of the odds of the  
13 long-term endpoint being greater than a fixed time  
14 point such as 4 years for MRD negative patients  
15 compared to MRD positive patients. An example of  
16 that calculation, if the probability that an MRD  
17 negative patient has a PFS greater than 4 years,  
18 it's 75 percent, and the probability that a MRD  
19 positive patient has a PFS greater than 4 percent  
20 [sic - years] being 33 percent, we see that gives  
21 you an odds ratio of 6, indicating a very strong  
22 association between MRD and your long-term



1 endpoint.

2 In addition to that analysis, we looked at a  
3 landmark analysis. In this analysis, we take all  
4 patients alive and progression free at 12 months,  
5 and we look at the impact of MRD on subsequent  
6 survival, and we quantify this using a hazard ratio  
7 using logistic regression.

8 The analysis followed the intent-to-treat  
9 principle. All randomized patients were included.  
10 Patients with missing MRD evaluations were  
11 considered as not achieving an MRD negative  
12 response. The primary analysis included only  
13 studies with less than 20 percent missingness for  
14 that 12-month endpoint, aligning with other studies  
15 in this setting.

16 As an example, we have from the time from  
17 randomization, a patient first achieves a complete  
18 response, then within our window of 12 months or  
19 plus or minus 3, they have an MRD evaluation which  
20 is negative, and that patient is classified as MRD  
21 negative. Another example is a patient who has  
22 achieved a complete response but their MRD

1 evaluation is after the time window; because it's  
2 after the time window, that patient is considered  
3 as MRD positive. Another example is a patient who  
4 has no MRD testing. That patient will again be  
5 classified as MRD positive.

6 Lastly, if a patient first achieves a very  
7 good partial response, and then within our time  
8 window, it achieves MRD negativity and subsequently  
9 after that achieves a complete response, per our  
10 statistical analysis plan, that patient is also  
11 considered as MRD positive. Lastly, if a patient  
12 has early progression of disease, that patient will  
13 also be considered as MRD positive.

14 Now to get to the results, this is the  
15 individual-level association from our copula model.  
16 This is looking at MRD in progression-free  
17 survival. In the combined population with  
18 4,907 patients, we had a global odds ratio of 4.72,  
19 indicating a strong association between MRD and  
20 progression-free survival. We look in our two  
21 subpopulations, and in transplant eligible, we had  
22 an odds ratio of 2.45, and in transplant

1 ineligible, where we have a majority of the data,  
2 about two-thirds of our patients, we have an odds  
3 ratio of 6.15, again indicating a strong  
4 association. For overall survival, the odds ratio  
5 was 4, again indicating a strong association  
6 between MRD negativity and overall survival.

7 Here is the alternative way we can look at  
8 it. The first is looking at progression-free  
9 survival outcome, where we're taking all patients  
10 who are alive and progression free at 12 months and  
11 we're looking at the impact of MRD negativity. So  
12 we have the transplant eligible and the transplant  
13 ineligible population, and across these studies, we  
14 see a fairly consistent association.

15 The MRD negativity is associated with a  
16 reduced risk of progression or death. We can  
17 combine these different point estimates using a  
18 random effects meta-analysis, and overall, we have  
19 a hazard ratio of 0.4. For overall survival, where  
20 we're looking at all patients who are alive at 12  
21 months, we see, again, a fairly consistent  
22 association with using the meta-analysis approach

1 and has a hazard ratio of 0.4 as well.

2 Now for the trial-level association, here we  
3 have two plots. One is the weighted least squares  
4 where we're weighting by the inverse variance and  
5 the other one we are weighting by the sample size.  
6 Each of those circles correspond to a trial the  
7 size of the circle. The larger the size, the  
8 larger weight it carried in that analysis. We have  
9 the yellow circles which correspond to the  
10 transplant eligible population and we have the  
11 green circles which correspond to the transplant  
12 ineligible population.

13 So combining all those different studies, we  
14 see that the R-squared is moderate to high, ranging  
15 from 0.67 to 0.84, depending on the analysis. Just  
16 a note, there's an additional trial in there,  
17 Trial 2.1, which wasn't included in our primary  
18 analysis but was included as a sensitivity  
19 analysis, and including that additional trial had  
20 little impact in our estimates.

21 Here, we are now looking at the  
22 transplant-ineligible population. We have the same

1 figures, but now we're focusing on the green dots  
2 in those plots; those are the transplant  
3 ineligible. Here, we have a strong correlation,  
4 trial-level correlation, across the different  
5 methods, ranging from 0.83 to 0.85.

6 Here is another way we can view those  
7 results. This is the treatment association first  
8 on the MRD negativity endpoint using logistic  
9 regression, and then we have the treatment effect  
10 on progression-free survival. As expected, we have  
11 heterogeneity in those treatment effects across the  
12 different randomized studies, but we can see there  
13 are four trials that have a strong effect on our  
14 MRD negativity endpoint, with an odds ratio of 2  
15 to 4 or greater. If you look at those same studies  
16 over in progression-free survival, Study 1.3, 1.5,  
17 1.6, and 1.7, we again see a strong association for  
18 those treatment effects on progression-free  
19 survival.

20 Now, looking at overall survival, we see  
21 when we combine all patients, we have a moderate to  
22 weak correlation, ranging from 0.21 to 0.33. There

1 are challenges looking at treatment effect on  
2 overall survival in this setting, as patients may  
3 either cross over after progression or disease, or  
4 receive other effective lines of therapy  
5 post-progressing -- after progressing -- on the  
6 study in the newly diagnosed setting. When we look  
7 at the transplant-ineligible population, we see a  
8 moderate to high correlation in that setting,  
9 ranging from 0.63 to 0.83.

10 Lastly, a few slides ago, we were looking at  
11 the treatment effect on MRD and the treatment  
12 effect on progression-free survival. We saw for  
13 four studies, there was treatment effect on MRD  
14 that was ranging from an odds ratio of 2 to 4 or  
15 greater; when we looked at the treatment effect on  
16 PFS, those hazard ratios range from 0.35 to 0.55.

17 Here, we're just now looking at the test of  
18 association. The first column is the treatment  
19 effect on MRD, the second is the treatment effect  
20 on progression-free survival, and lastly is the  
21 treatment effect on overall survival. We see the  
22 four studies had a significant effect on MRD and

1 also had a very significant effect on  
2 progression-free survival.

3 At this point, I will turn it back over to  
4 Dr. Landgren.

5 **Industry Presentation - C. Ola Landgren**

6 DR. LANDGREN: Thank you very much.

7 I will now provide a summary and a clinical  
8 conclusion. The most effective treatment in  
9 multiple myeloma occurs in the first line. With  
10 current endpoints, it takes over 10 years to show  
11 statistically significant effect of a new therapy  
12 on PFS in the newly diagnosed multiple myeloma  
13 patient population. This delays timely drug  
14 approval and availability of new highly efficacious  
15 treatments for patients diagnosed with multiple  
16 myeloma. And as you have seen today, our results  
17 support the consideration of MRD as an early  
18 clinical endpoint reasonably likely to predict  
19 clinical benefit in multiple myeloma that may be  
20 used to support accelerated approval.

21 Today, the ODAC committee will review two  
22 independent studies investigating the role of MRD

1 as an early clinical endpoint in multiple myeloma.  
2 There are many similarities. There are a few  
3 differences between the two studies. In the  
4 interest of time, I'm not going to review the  
5 differences, but importantly, the results from  
6 these two independent studies are consistent and  
7 they are supportive of each other.

8 I've been a physician for 29 years, and the  
9 majority of my career has been dedicated to  
10 multiple myeloma. When I was in fellowship,  
11 chemotherapy was widely used. We are now in the  
12 immunotherapy era from a drug development  
13 perspective, with opportunities to develop modern  
14 chemotherapy free regimens with the potential to  
15 offer patients the same lifespan as the general  
16 population. However, clinical development of new  
17 therapies in newly diagnosed multiple myeloma is  
18 moving very slowly, 10 years on average, due to  
19 challenges brought up here today. In newly  
20 diagnosed multiple myeloma, there is an unmet need  
21 until we have curative therapists.

22 Today, you have seen that MRD is an



1 objective and reliably measured early endpoint that  
2 is reasonably likely to predict long-term outcomes  
3 and clinical benefit in multiple myeloma. Approval  
4 of this endpoint will accelerate the availability  
5 of new and effective treatments for our patients.  
6 Thank you very much for your attention.

7 DR. NOWAKOWSKI: Thank you, Drs. Landgren  
8 and Devlin.

9 We'll now proceed with the second industry  
10 presentation from International Independent Team  
11 for Endpoint Approval of Multiple Myeloma Minimal  
12 Residual Disease.

13 **Industry Presentation - Brian Durie**

14 DR. DURIE: Good morning. I'm Brian Durie,  
15 Chief Scientific Officer at the International  
16 Myeloma Foundation. I have no financial interest  
17 in the outcome of this meeting. I'm a myeloma  
18 clinician and researcher with a long-standing focus  
19 on diagnostics, staging, standard of care  
20 therapies, and response assessment. I created the  
21 International Myeloma Working Group, led that  
22 group's response criteria publication in 2006, and

1 co-led the MRD enhanced version in 2016. I am  
2 especially interested in the precise documentation  
3 of deep response and brought together the I2TEAMM  
4 to seek FDA approval for MRD as an early endpoint.  
5 I will introduce the work of our team today.

6 The I2TEAMM is a collaboration between  
7 academic sites, shown here, to test the utility of  
8 MRD as an endpoint in myeloma trials. In  
9 combination with our industry partners, we have a  
10 global reach for the gathering of data from  
11 clinical trials, encompassing over 12,000  
12 individual patient files.

13 This is, as you've heard, really a unique  
14 time in the progress of myeloma therapy. Nineteen  
15 drugs have been approved in the last 20 years, and  
16 there have been significant prolongations in  
17 survival outcomes. Fortunately, these new drugs  
18 and combinations continue to prolong patient  
19 survival; however, this means that patients have to  
20 wait longer and longer for access to new drugs to  
21 be approved based upon PFS benefit. Thus, as  
22 you've heard, there is an unmet need for an early

1 endpoint, which can reliably predict progression-  
2 free and overall survival. Minimal residual  
3 disease testing addresses this unmet need.

4 As illustrated on this slide, depth of  
5 response really does matter. The deeper the  
6 response, the longer the PFS. Response is  
7 indicated by ORR and complete response at the top  
8 of this blue arrow. With deeper response to the  
9 MRD level, more myeloma cells are eliminated. At  
10 the 10 to the minus 5 level, only one myeloma cell  
11 in 100,000 thousand can be detected.

12 This 10 to the minus 5 level is clearly  
13 superior to the ORR and CRR levels and is the key  
14 target for the early MRD endpoints we're describing  
15 today, which reliably predict longer PFS. You will  
16 hear more about this 10 to the minus 5 level target  
17 as we describe our statistical analysis. There are  
18 many advantages of MRD as an early endpoint.  
19 Faster readouts using a 9 to 12 month endpoint  
20 versus an endpoint requiring more than 5 years is  
21 an obvious advantage. These faster readouts can  
22 lead to timely approval of life-saving therapies

1 and combinations, bringing a major positive impact  
2 to patients with myeloma.

3 Our initial discussions on pursuing an MRD  
4 endpoint began back in 2015 and have included key  
5 FDA interactions and agreement shown on this  
6 timeline, which lead up to March 2023, when data  
7 were submitted to the FDA.

8 Our intent for today's ODAC meeting is to  
9 present and discuss our findings that support the  
10 use of MRD testing as an early endpoint for  
11 accelerated approval. First, we will present more  
12 detail about the need for minimal residual disease  
13 assessment; next, we will present meta-analysis and  
14 key results that support the use of MRD assessment  
15 as an early clinical trial endpoint; and finally,  
16 we'll end with a conclusion.

17 First of all, I'd like to invite Dr. Bruno  
18 Paiva to provide an overview of MRD assessment.

19 **Industry Presentation - Bruno Paiva**

20 DR. PAIVA: I am Bruno Paiva, Director of  
21 Flow Cytometry, together with Professor Jesús San  
22 Miguel of Myeloma Research at the University of

1 Navarra, Spain. We have worked in the field of MRD  
2 assessment in myeloma for more than 15 years and  
3 made seminal contributions in its methodology and  
4 clinical application. I will present background on  
5 the need for MRD assessment in multiple myeloma. I  
6 have no financial interest in the outcome of this  
7 meeting.

8 While overall response rate has generally  
9 supported accelerated approval of new treatments,  
10 most patients respond to new standards of care. It  
11 is very likely that ongoing and future trials will  
12 show overall response rates of 100 percent, which  
13 makes overall response rate impractical as an  
14 endpoint.

15 In addition, among all response categories,  
16 only the achievement of MRD negativity, here  
17 represented in the blue line, truly identifies  
18 patients displaying high rates of PFS, on the left,  
19 and OS, on the right. In fact, patients achieving  
20 complete remission but having persistent MRD, here  
21 identified in the green line as survival outcomes,  
22 are clearly inferior to MRD negative patients and

1 virtually identical to those in a partial response.  
2 In other words, in myeloma, MRD negativity is  
3 recognized as the new complete remission, and  
4 achieving MRD negativity is a new endpoint of  
5 therapy.

6           Because of this recognition, the  
7 International Myeloma Working Group established new  
8 response criteria in 2016 in which for patients  
9 achieving CR, there will be a more sensitive  
10 category of MRD negative CR, requiring a minimum  
11 sensitivity of 10 to the minus 5, defined by two  
12 next-generation methods that have been analytically  
13 validated, and whenever used in the same patient  
14 population, display high concordance and similar  
15 prognostic value. These methods have been used in  
16 virtually all trials since 2016, and the  
17 feasibility of having MRD endpoints in future  
18 clinical trials is reassured.

19           Here is one clinical trial example of our  
20 global experience across groups participating in  
21 the I2TEAMM, which is a fact that technical  
22 failures are very rare, and medium limited

1 detection is very high, and that the minimum  
2 sensitivity of 10 to the minus 5 is achieved in  
3 virtually all samples.

4           Why did the International Myeloma Working  
5 Group, the EVIDENCE study, and the I2TEAMM propose  
6 a 10 to the minus 5 sensitivity threshold? Now,  
7 based on the large meta-analysis reported by  
8 Dr. Munshi and colleagues, we now know that the  
9 more sensitive the MRD assessment, the better the  
10 prediction of clinical benefit and that the  
11 sensitivity level of 10 to the minus 4 is  
12 suboptimal to define MRD negativity. Because the  
13 minimum sensitivity of 10 to the minus 5 can be  
14 achieved in virtually all samples, which is not the  
15 case of 10 to the minus 6, the optimal threshold  
16 today to define MRD negativity is indeed 10 to the  
17 minus 5.

18           Again, according to a large meta-analysis of  
19 more than 90 studies, including more than  
20 8,000 patients, it was observed that MRD is a key,  
21 if not the most, relevant prognostic factor in all  
22 disease settings; that is, newly diagnosed

1 transplant-eligible and ineligible patients, as  
2 well as those with relapsed/refractory disease.  
3 Once patients are classified into MRD negative,  
4 shown in blue traces, versus positive, shown in  
5 purple traces, there are few differences in PFS  
6 across the three disease settings, and this  
7 observation is very important to keep in mind for  
8 some of the analyses that will be presented by  
9 Dr. Shi.

10 This meta-analysis reflects the global  
11 prognostic value of MRD in patients treated with  
12 proteasome inhibitors, immunomodulatory drugs, and  
13 monoclonal antibodies. In fact, MRD assessment in  
14 phase 3 trials that led to approval of new  
15 treatments based on anti-C38 monoclonal antibodies  
16 is paradigmatic. In all these trials, regardless  
17 of the disease setting or regimens, the  
18 significantly higher MRD negative rates in the  
19 investigational versus the control arm preceded  
20 significant differences in survival, which led to  
21 the approval of new treatments for patients with  
22 multiple myeloma.



1           The most recent example is the PERSEUS trial  
2           that investigated the addition of an anti-C38  
3           monoclonal antibody to the standard of care -- that  
4           is the D-VRd and Vrd acronyms in this slide -- and  
5           MRD negative rates at 10 to the minus 5 after  
6           intensification were significantly higher with a  
7           4-drug regimen, shown in navy blue, compared to the  
8           triplet, shown in green, and these differences in  
9           MRD negative rates measured in between 9 and  
10          12 months after treatment initiation, anticipated  
11          years in advance, was finally confirmed as a  
12          significant improvement in PFS. The prognostic  
13          value of MRD assessment has also been demonstrated  
14          with CAR T cells and T cell engagers shown here.  
15          In fact, some ongoing randomized clinical trials  
16          investigating CAR T cells or T cell engagers are  
17          using MRD as co-primary endpoint.

18                 In summary, overall response rates are  
19                 needing 100 percent in myeloma and treatment  
20                 efficacy must be measured with higher sensitivity.  
21                 Since 2016, MRD is evaluated with state-of-the-art  
22                 and uniform technology, which provide results and

1 achieves 10 to the minus 5 sensitivity in virtually  
2 all samples, and is more sensitive than the CR  
3 criterion. MRD assessment has shown to be  
4 prognostic in all disease settings and treatment  
5 scenarios, and virtually all phase 3 trials leading  
6 to drug approvals have shown superior MRD negative  
7 rates in the investigational arm.

8 Both observations have been confirmed in  
9 meta-analysis of published data; however, both  
10 observations were yet to be confirmed in a large  
11 meta-analysis based on individual patient data.  
12 This was exactly what we aimed in the I2TEAMM, and  
13 Dr. Chi will now present the detailed results of  
14 this effort.

15 **Industry Presentation - Qian Shi**

16 DR. SHI: I am Qian Shi, Professor of  
17 Biostatistics and Oncology at Mayo Clinic. I have  
18 been the lead statistician for international  
19 surrogate endpoint research across solid tumor and  
20 hematology for more than 15 years, including formal  
21 qualification of CR-30 as surrogate endpoint in  
22 follicular lymphoma. I have no financial interest

1 in the outcome of this meeting. I will present  
2 some meta-analysis and key results on behalf of  
3 independent data and statistical team.

4 The initial objective of this research was  
5 to formally validate MRD as a surrogate endpoint of  
6 progression-free or overall survival in multiple  
7 myeloma clinical trials. With available data, we  
8 revised our objective to evaluate if current  
9 evidence can support MRD as early endpoint that is  
10 reasonably likely to predict clinical benefit in  
11 future multiple myeloma clinical trials.

12 Therefore, within the two-level meta-analytic  
13 framework, a strong individual patient-level  
14 correlation between MRD endpoint and progression-  
15 free or overall survival is considered as the  
16 primary evidence. On the other hand, the  
17 trial-level correlation could provide supplemental  
18 evidence if it is promising.

19 Multicenter, randomized clinical trials with  
20 more than 100 multiple myeloma patients and  
21 published after 2006 were eligible for inclusion in  
22 this analysis. Trials with uncertain or

1       insufficient MRD data were not considered.  
2       Twenty-nine randomized clinical studies were  
3       identified through formal literature search. Of  
4       these, individual patient data from 12,316 patients  
5       were received from 20 studies covering three  
6       multiple myeloma populations: newly diagnosed,  
7       transplant eligible, newly diagnosed transplant  
8       ineligible, and relapsed/refractory. This was an  
9       unprecedented, data-sharing effort from a broad  
10      community in multiple myeloma research worldwide.

11               Across the 20 studies, MRD and activity  
12      status were classified at different thresholds  
13      shown here. At the individual patient level, the  
14      correlation between MRD endpoint and progression-  
15      free or overall survival is measured by global odds  
16      ratio estimated from bivariate Plackett copula  
17      model. Global odds ratio quantifies the ratio of  
18      the odds of a patient remaining progression free  
19      and alive beyond any time point for patients who  
20      achieve MRD negativity compared to those who did  
21      not. The higher the value is above 1.0, the  
22      stronger the correlation.

1           The common landmark log rank test comparing  
2 progression-free or overall survival between  
3 patients with versus without MRD negativity was  
4 also performed. Trial-level correlation measures  
5 how precisely the treatment effect on progression-  
6 free or overall survival may be predicted based on  
7 the observed treatment effect on MRD endpoint.  
8 Strong trial-level correlation is required for  
9 formal surrogate endpoint validation; however, to  
10 be considered as early endpoint that is reasonably  
11 likely to predict clinical benefit, strong  
12 individual patient-level correlation can be  
13 considered to be sufficient. Promising trial-level  
14 correlation can provide further supplemental  
15 evidence.

16           Two commonly used R-squared quantify the  
17 strength of the trial-level correlation. To  
18 estimate trial-level correlation, two-arm  
19 comparisons were formed within each trial. The  
20 pair, the data points, are log odds ratio on MRD  
21 endpoint and log hazard ratio for progression-free  
22 or overall survival endpoints. The figure on the

1 left is an example of a regression line to show  
2 strong trial-level correlation. Additional data  
3 requirements were prespecified for two-arm  
4 comparisons to be eligible for trial-level  
5 analysis, shown in the gray box on the right.  
6 Among eligible two-arm comparisons, two analyses  
7 were performed. Either patients with missing MRD  
8 status were excluded or missing MRD endpoint was  
9 imputed as MRD positive.

10 Very similar definition and derivations were  
11 used for MRD negative CR endpoints as those in the  
12 EVIDENCE meta-analysis. In our research, 9 months  
13 MRD negative CR was the primary early endpoint  
14 candidate and 12 months MRD negative CR was the  
15 secondary candidate. Note, for both MRD endpoints,  
16 at least one confirmed CR or stringent CR during  
17 the evaluation time period was required.

18 First, I will present the results for the  
19 9-month MRD negative CR rate endpoint. Based on  
20 MRD classification threshold, different number of  
21 two-arm comparisons can be formed in each of the  
22 three multiple myeloma populations. Analysis at

1 each classification threshold and pooling two-arm  
2 comparisons with different thresholds were  
3 performed. In this presentation, we will focus on  
4 10 to a negative 5 threshold analysis since the  
5 majority of the trials included MRD assessment at  
6 10 to a negative 5 threshold after the  
7 International Myeloma Working Group MRD response  
8 criteria was established in 2016.

9 First, progression-free survival, here you  
10 see the estimated global odds ratio for each of  
11 three multiple myeloma populations regarding  
12 9-month MRD negative CR rate. As a reminder, the  
13 global odds ratio measures individual patient-level  
14 correlation between MRD and long-term clinical  
15 endpoints. Here, we've restricted the analysis to  
16 two-arm comparisons, which are eligible for  
17 trial-level correlation.

18 Consistent high global odds ratio values  
19 were observed across three populations. Remember,  
20 the higher the value is above 1.0, the stronger the  
21 correlation. Furthermore, 95 percent confidence  
22 intervals are excluding 1.0, indicating statistical

1       significance. This means the patients who achieved  
2       9-months MRD negative CR at 10 to a negative 5  
3       threshold had substantially higher odds of  
4       remaining progression free and alive beyond any  
5       time point compared to those who did not with  
6       strong statistical significance.

7               In a sensitivity analysis, missing MRD  
8       endpoint was imputed as MRD positive. Global odds  
9       ratio values remained consistently high despite  
10      minor attenuations. For overall survival, again,  
11      high individual patient-level correlations were  
12      observed between 9-months MRD negative CR and  
13      longer survival for each of three multiple myeloma  
14      populations. All of the numbers are higher than  
15      1.0.

16              Here, we see landmark progression-free  
17      survival Kaplan-Meier curves for patients who  
18      achieved a 9-month MRD negative CR, shown in blue,  
19      and those who did not, shown in purple for each of  
20      three multiple myeloma populations separately.  
21      Large separation of Kaplan-Meier curves with a  
22      hazard ratio range from 0.24 to 0.31 show very



1 strong prognostic value of 9-month MRD negative CR  
2 at 10 to a negative 5 threshold in each multiple  
3 myeloma population. Landmark overall survival  
4 Kaplan-Meier curves also showed a strong prognostic  
5 value of 9-month MRD endpoint in each population.

6 As a reminder, trial-level correlation  
7 measures the correlation between treatment effect  
8 on MRD endpoint and treatment effect on long-term  
9 clinical endpoints. Only the two-arm comparisons  
10 with more than 80 percent of patients have  
11 sufficient data to derive MRD endpoint are  
12 eligible. For the initial objective, we had  
13 planned to evaluate trial-level correlation within  
14 each multiple myeloma population; however, the  
15 number of eligible two-arm comparisons is limited  
16 in each population.

17 Given that trial-level correlation provides  
18 supplemental evidence for early endpoint that is  
19 reasonably likely to predict clinical benefit, we  
20 evaluated trial-level correlation by pooling three  
21 populations to see if there were any promising  
22 trends. The R-squared values ranged from 0.66 to

1 0.73 across estimation and missing data handling  
2 methods. These values indicate moderate  
3 trial-level correlation between 9-month MRD  
4 negative CR at 10 to a negative 5 threshold and  
5 progression-free survival, pooling three  
6 populations. Similar results are obtained looking  
7 at overall survival, moderate trial-level  
8 correlation between 9-month MRD endpoint and  
9 overall survival, again pooling three populations.

10 Here, we see results of an analysis which  
11 excludes the relapsed/refractory population, which  
12 corresponds to the EVIDENCE meta-analysis that you  
13 heard about earlier. R-squared values range from  
14 0.67 to 0.79, again, moderate trial-level  
15 correlation between 9-month MRD endpoint and  
16 long-term clinical endpoints for combined newly  
17 diagnosed multiple myeloma population.

18 Now, following the same outline, I will  
19 present the results for the 12-month MRD negative  
20 CR rate endpoint. Compared to a 9-month MRD  
21 endpoint, slightly fewer patients had available MRD  
22 data at 12 months. Again, we were focused on 10 to

1 a negative 5 threshold. Here, we see individual  
2 patient-level correlation between 12-month MRD  
3 negative CR status at 10 to a negative threshold  
4 and progression-free survival. As we saw with the  
5 9-month MRD endpoint, consistent high global odds  
6 ratio values were observed across three multiple  
7 myeloma populations with 95 percent confidence  
8 intervals excluding 1.0, indicating statistical  
9 significance.

10 For overall survival, consistent results  
11 were obtained in newly diagnosed  
12 transplant-eligible and newly diagnosed  
13 transplant-ineligible population. The estimates  
14 were not available in relapsed/refractory  
15 population due to low MRD negative rate and high  
16 survival rate among patients with MRD negative CR.  
17 For progression-free survival, we see strong  
18 prognostic value of 12-month MRD negative CR  
19 consistently across three multiple myeloma  
20 populations, and the same is seen for overall  
21 survival.

22 For progression-free survival, pooling three

1 multiple myeloma populations, the R-squared values  
2 range from 0.61 to 0.72 and, again, indicate  
3 moderate trial-level correlation between 12-month  
4 MRD endpoint and progression-free survival.  
5 Pooling three populations for overall survival, the  
6 R-squared values reduced slightly but still  
7 indicate moderate trial-level correlation.

8 Excluding relapsed/refractory population as  
9 was done in EVIDENCE meta-analysis, R-squared  
10 values range from 0.69 to 0.85, indicating moderate  
11 to strong trial-level correlation between 12 months  
12 MRD endpoint and long term clinical endpoints for  
13 the combined newly diagnosed multiple myeloma  
14 population.

15 In summary, consistent high individual  
16 patient-level correlation provides strong evidence  
17 that 9-month MRD negative CR rate at 10 to a  
18 negative 5 threshold reasonably likely predicts  
19 clinical benefit of progression-free survival in  
20 newly diagnosed transplant-eligible, newly  
21 diagnosed transplant-ineligible, and  
22 relapsed/refractory multiple myeloma populations.

1 The promising trial-level correlation provides  
2 supportive evidence. Furthermore, similar results  
3 were seen for 12-month MRD endpoint and for overall  
4 survival.

5 The MRD endpoints evaluated here were  
6 prespecified and uniformly derived regarding time  
7 points and sensitivity threshold across all trials.  
8 In conclusion, we recommend the consideration of  
9 the MRD negative CR rate classified at 10 to a  
10 negative 5 threshold at 9 and 12 months as early  
11 endpoint for accelerated approval in each of the  
12 three multiple myeloma populations.

13 Now, Dr. Anderson will conclude our  
14 presentation.

15 **Industry Presentation - Kenneth Anderson**

16 DR. ANDERSON: I'm Ken Anderson from Harvard  
17 Medical School and Dana-Farber, and I've carried  
18 out bench-to-bedside research for over 40 years in  
19 myeloma, including most of the FDA-approved drugs  
20 to treat this disease. I have no financial  
21 interest in the outcome of this meeting.

22 We've made a case that there's a clear

1 rationale to seek endpoints measuring early  
2 responses in myeloma. We're fortunate that great  
3 progress has been made in the myeloma therapeutic  
4 landscape, leading to overall response rates near  
5 100 percent and complete response rates over  
6 70 percent. The median progression-free survival  
7 has been prolonged over six years and overall  
8 survival to over 10 years.

9 As you have heard, however, there's an  
10 urgent need to develop alternative endpoints that  
11 may provide both a sensitive and an earlier readout  
12 so that we can allow patients access to newer  
13 treatment options sooner. MRD determination  
14 provides such a reproducible assessment for  
15 residual disease and predicts outcome.

16 Technological advances allow for reproducible  
17 assessment for the presence of even very small  
18 numbers of myeloma cells, minimal residual disease,  
19 and studies over the last 15 years confirm a  
20 significant impact of MRD on both PFS and OS.

21 We reviewed the very encouraging trial-level  
22 analyses correlating MRD sensitivity of 10 to the

1 minus 5th or better with PFS and overall survival.  
2 We have presented the results from our initial  
3 trial-level meta-analysis of 20 large robust,  
4 randomized-controlled, phase 3 trials with mature  
5 PFS data. These trials enrolled patients from  
6 around the world. The trials varied in their  
7 design; line of therapies; treatment strategies;  
8 MRD testing methods; timing and/or number of MRD  
9 assessments; and MRD sensitivity levels.  
10 Importantly, this heterogeneity is a major  
11 strength, as these results are largely  
12 representative of a wide spectrum of treatment  
13 options and clinical practice.

14 We recognize that the treatment types  
15 represented are small molecules and monoclonal  
16 antibodies and that the results from trials  
17 evaluating chimeric antigen receptor and T cell  
18 engager therapies, although not included in this  
19 analysis, do suggest that MRD negativity, shown in  
20 the blue line, is correlated with PFS after  
21 treatment.

22 Two independent analyses, one from the

1 I2TEAMM and one from the University of Miami, with  
2 some differences in methodologies, showed a similar  
3 strong association between MRD negative CR and PFS,  
4 and in fact, a reanalysis by the I2TEAMM using  
5 similar inclusion criterion regarding missingness  
6 of data shows consistent results.

7 The trial-level association between MRD  
8 negative complete response and PFS is promising,  
9 using the proposed 10 to the minus 5th MRD  
10 sensitivity level. At the individual patient  
11 level, two analyses showed very strong associations  
12 between MRD negative, measured at both 9 and  
13 12 months, after achieving conventional complete  
14 response and PFS.

15 We strongly believe that MRD assessment is  
16 an early endpoint reasonably likely to predict  
17 clinical benefit. We found very encouraging  
18 trial-level surrogacy estimates that are aligned  
19 with a strong and consistent patient-level  
20 association between MRD negative CR and PFS. The  
21 combined conclusions of the individual  
22 patient-level and the trial-level surrogacy provide



1 confidence in the role of MRD negative CR as an  
2 early endpoint reasonably likely to predict  
3 clinical benefit, supporting its use for  
4 accelerated drug approval in multiple myeloma.

5 Thank you for your attention.

6 DR. NOWAKOWSKI: Thank you, Dr. Anderson and  
7 I2TEAMM.

8 We'll now proceed with the FDA presentation,  
9 starting with Dr. Rachel Ershler.

10 **FDA Presentation - Rachel Ershler**

11 DR. ERSHLER: Good morning. My name is  
12 Rachel Ershler, and I'm a hematologist/oncologist  
13 and a clinical reviewer on the Multiple Myeloma  
14 Team in the Division of Hematologic Malignancies II  
15 at the FDA. Today, we would like to further  
16 discuss MRD as a potential endpoint to support  
17 accelerated approval. I will begin our  
18 presentation with some background information, and  
19 then we'll turn it over to my colleague, Dr. Jing  
20 Zhang, the statistical reviewer, to discuss the  
21 results of FDA's meta-analysis. And finally, I  
22 will present FDA's considerations and the topics

1 for discussion today.

2 The members of the FDA review team are  
3 listed here. My presentation represents their  
4 collective input. As mentioned previously, the  
5 purpose of today's meeting is to discuss the  
6 adequacy of the available data to support the use  
7 of MRD as an accelerated approval endpoint in  
8 multiple myeloma. We will ask the committee to  
9 discuss additional considerations around the use of  
10 MRD, including the use of MRD as an endpoint in  
11 different myeloma disease settings and the proposed  
12 time points for MRD assessment.

13 This slide shows the therapies approved for  
14 multiple myeloma since 2003. Over the past  
15 20 years, there have been 17 drugs approved for  
16 myeloma, which has resulted in substantial  
17 improvement in the survival of patients with both  
18 newly diagnosed and relapsed/refractory disease;  
19 however, despite this, myeloma remains incurable  
20 and patients ultimately relapse.

21 There are two approval pathways that have  
22 been used for approval of new therapies and

1 treatment combinations in multiple myeloma, regular  
2 approval and accelerated approval. For regular  
3 approval, demonstration of clinical benefit is  
4 required, which could be described as a measure of  
5 how a patient feels, functions, or survives. In  
6 multiple myeloma, traditionally, progression-free  
7 survival and overall survival have supported  
8 regular approval. However, because therapies have  
9 become more effective and survival has increased  
10 substantially, demonstrating a statistically  
11 significant improvement in these endpoints can take  
12 quite some time; therefore, there has been  
13 increased interest in ways to expedite drug  
14 development in this disease space.

15 One such way is the accelerated approval  
16 pathway. To meet the requirements for accelerated  
17 approval, the new treatment must be for a serious  
18 or life-threatening disease; generally demonstrate  
19 substantial evidence of efficacy based on an  
20 intermediate clinical endpoint or a surrogate  
21 endpoint reasonably likely to predict clinical  
22 benefit; and provide meaningful benefit in the

1 context of other available therapy.

2 In multiple myeloma, the accepted  
3 intermediate endpoint to support accelerated  
4 approval has traditionally been overall response  
5 rate, defined as partial response or better,  
6 supported by duration of response; however, similar  
7 to the improvements in PFS and OS, recent clinical  
8 trials in multiple myeloma have demonstrated very  
9 high response rates, particularly in the newly  
10 diagnosed setting; therefore, ORR is becoming more  
11 challenging to use as an early endpoint.

12 One example that illustrates the challenges  
13 with the currently accepted endpoints in multiple  
14 myeloma is the MAIA trial. This was a randomized  
15 study of daratumumab in combination with  
16 lenalidomide and dexamethasone compared to  
17 lenalidomide and dexamethasone alone, in patients  
18 with newly diagnosed multiple myeloma who were not  
19 eligible for transplant.

20 As you can see here, the response rates in  
21 this study were quite high in both arms, with an  
22 ORR of almost 93 percent in the DRd arm and

1 81 percent in the control arm. Thus, even in this  
2 trial that compared a doublet with a triplet  
3 regimen, the difference in response rates was only  
4 about 11 percent. As the field of myeloma  
5 continues to advance with the use of triplets, and  
6 now quadruplet regimens, the response rates will  
7 continue to be even higher, and demonstrating a  
8 meaningful improvement in this endpoint will become  
9 even more challenging. Of note, ORR was not used  
10 as the regulatory endpoint to support regular  
11 approval.

12 The primary endpoint of the MAIA trial was  
13 PFS, and this study was used to support regular  
14 approval of the DRd regimen in this patient  
15 population. As seen in the Kaplan-Meier curve on  
16 the left, this study demonstrated an improvement in  
17 PFS in the DRd arm. At the time of approval, the  
18 median PFS was not reached in the DRd arm and was  
19 31.9 months in the Rd arm. As seen on the right,  
20 with a median follow-up of 56 months, this study  
21 demonstrated an improvement in overall survival in  
22 the DRd arm as compared to the Rd arm. The median

1 OS was still not reached for either arm.

2 DRd is now an approved regimen for this  
3 patient population. This example illustrates how  
4 achieving a meaningful or statistically significant  
5 improvement in these endpoints has become quite  
6 challenging in this disease space. Not only will  
7 new therapies have to have very high response  
8 rates, but clinical studies will also have to have  
9 very large sample sizes and long durations of  
10 follow-up to demonstrate an improvement in PFS and  
11 OS; therefore, there is a need for novel endpoints  
12 to expedite drug development in this field.

13 As noted earlier, the ORR was high in both  
14 treatment arms, and therefore demonstrating an  
15 improvement in ORR will continue to become quite  
16 challenging. MRD was also evaluated in this study.  
17 The MRD rate in the triplet regimen was 24 percent,  
18 and the difference in MRD negativity between the  
19 two arms was greater than the difference in ORR, at  
20 almost 17 percent. Assessment of MRD allows for  
21 better differentiation of the treatment effect of  
22 new therapy, and thus could potentially serve to

1 expedite drug development.

2           So as you've heard, and as we are discussing  
3 today, one of the potential early endpoints in  
4 multiple myeloma is MRD. MRD is a measure of tumor  
5 burden assessed in the bone marrow and detects the  
6 presence of malignant cells at orders of magnitude  
7 below the limit of conventional ORR. Several  
8 studies have reported the prognostic value of MRD  
9 status, as shown here, with achievement of MRD  
10 negativity being associated with depth of clinical  
11 response and prolongation of PFS.

12           MRD negativity has been demonstrated to  
13 provide prognostic value beyond CR; therefore, as  
14 we're discussing today, there has been great  
15 interest in evaluating MRD as a potential endpoint  
16 to expedite drug development in multiple myeloma.  
17 To this end, as we just heard, several efforts were  
18 undertaken using meta-analyses to potentially  
19 validate MRD as a surrogate endpoint or to provide  
20 sufficient data to support the use of MRD as an  
21 intermediate clinical endpoint in this disease  
22 space, and therefore could potentially be used to

1 support accelerated approval.

2 In thinking about the development of new  
3 endpoints for regulatory purposes, it is important,  
4 again, to consider the regulatory pathways.

5 Regular approval is based on an effect on clinical  
6 benefit or a validated surrogate endpoint.

7 Accelerated approval may be based on an  
8 intermediate clinical endpoint or a surrogate  
9 endpoint that is reasonably likely to predict  
10 clinical benefit.

11 Overall response rate is the most commonly  
12 used endpoint for accelerated approval in multiple  
13 myeloma. ORR is not a validated surrogate  
14 endpoint; however, it is of clinical relevance for  
15 monitoring and treating patients, and as such, it  
16 is an intermediate clinical endpoint that is used  
17 to support accelerated approval.

18 I would like to briefly mention some of the  
19 considerations regarding the methodology for  
20 assessing potential endpoints for surrogacy, which  
21 typically involves conducting a meta-analysis that  
22 includes patient-level data from multiple clinical



1 trials. The goal of the meta-analysis is to assess  
2 the strength of the association at the individual  
3 level and at the trial level.

4 For individual-level association, the  
5 objective is to evaluate the strength of the  
6 association between the candidate surrogate  
7 endpoint, in this case MRD, and the true clinical  
8 endpoints of PFS and OS at the patient level. In  
9 other words, is MRD negative CR prognostic for PFS  
10 and OS? Are individual patients after treatment  
11 likely to have favorable PFS or OS outcomes based  
12 on their MRD negative status?

13 For trial-level association, the objective  
14 is to evaluate the strength of the association  
15 between the treatment effect on the surrogate and  
16 the treatment effect on the true endpoint. In  
17 other words, if a treatment improves MRD negative  
18 CR over the control arm, will a similar improvement  
19 be observed in PFS and OS?

20 I would like to highlight that if a strong  
21 trial-level association is achieved, or if  
22 trial-level surrogacy is met, the endpoint may be

1 deemed as a validated surrogate endpoint, and  
2 depending on the totality of the data available,  
3 this endpoint may be used to support regular  
4 approval. However, very few oncology endpoints  
5 have met this standard and most endpoints that  
6 support accelerated approval have either not been  
7 assessed for trial-level surrogacy, or if they have  
8 been assessed, they have weak trial-level  
9 associations.

10 At this time, I would like to turn it over  
11 to my statistical colleague, Dr. Jing Zhang, to  
12 discuss the FDA's meta-analysis.

13 **FDA Presentation - Jing Zhang**

14 DR. ZHANG: Thank you, Dr. Ershler.

15 Good morning. My name is Jing Zhang. I'm a  
16 statistical reviewer of the myeloma team of the  
17 Division of Biometrics IX. I would like to discuss  
18 the FDA's meta-analysis. This slide reviews the  
19 statistical methods used in the applicants'  
20 meta-analyses. The association between the MRD  
21 negative CR and PFS and OS were evaluated at  
22 individual level and trial level. The same

1 methodology was used for FDA's meta-analysis.

2           The global odds ratio was used for  
3 quantifying individual-level association. An  
4 estimated odds ratio value greater than 1 with the  
5 lower bound of the 95 percent confidence interval  
6 excluding 1 indicates individual-level association.  
7 For the trial-level association, R-squared  
8 quantifies the association. For these analyses,  
9 R-squared was calculating using two different  
10 methods. R-squared ranges from 0 to 1.

11           In addition to the above assessments,  
12 surrogate threshold effect was also evaluated. The  
13 surrogate threshold effect is defined as the  
14 minimum treatment effect on the proposed surrogate  
15 necessary to predict a non-zero effect on the true  
16 endpoint. The surrogate threshold effect provides  
17 additional information about the usefulness of the  
18 surrogate in future trials.

19           These results from the two sponsors have the  
20 following overall conclusions. There is strong  
21 overall individual-level association. Trial-level  
22 associations were weak to moderate in the disease

1 subpopulations. These associations were higher for  
2 the ineligible subpopulation. In general, the  
3 pooled populations had moderate to strong  
4 associations. FDA agrees with the overall results  
5 and interpretations.

6           These analyses should be interpreted within  
7 the context of their strengths and the limitations.  
8 We note a few high-level considerations here.  
9 Overall, the trials included in these analyses  
10 varied in design, conduct, and patient populations,  
11 with various MRD assays utilized. For this reason,  
12 it is unclear whether the pooling is appropriate in  
13 some analyses; however, these data provide a broad  
14 experience of randomized trials across multiple  
15 settings, potentially allowing for broader  
16 conclusions.

17           In general, the number of trials is low and  
18 the data do not allow for robust inspection of key  
19 subgroups such as disease subpopulations and assay  
20 types. The impact of the disease setting on the  
21 results is an open question. The overall process  
22 and data validity should be considered strengths.

1 Both applicants prespecified analysis in an SAP and  
2 discussed these with the FDA prior to executing the  
3 analyses. In addition, both applicants collected  
4 and provided the patient-level data, which allows  
5 for inspection of data accuracy, as well as the  
6 individual-level associations presented today.

7 FDA conducted additional meta-analyses based  
8 on the data submitted by either applicant. A total  
9 number of 18 trials were included, which resulted  
10 in 25 two-arm comparisons. The purpose of these  
11 pooled analyses was to determine whether  
12 utilization of all available data would impact the  
13 results or conclusions. In addition, surrogacy of  
14 MRD negative CR at any time in the  
15 relapsed/refractory setting was also explored using  
16 data submitted to the FDA. The reason for  
17 exploration of this additional endpoint is because  
18 in the relapsed/refractory setting, MRD is  
19 typically measured to follow any achievement of CR  
20 rather than at prespecified time points. In these  
21 analyses, the analysis population included all  
22 randomized patients whose data were available.

1           This study flowchart summarizes the number  
2 of comparisons and patients included in the  
3 meta-analysis based on 18 trials. There are 25  
4 two-arm comparisons in total, including  
5 11,019 patients overall. The analysis population  
6 for FDA's meta-analyses includes 14 comparisons of  
7 the newly diagnosed transplant-eligible population,  
8 7 comparisons for the newly diagnosed  
9 transplant-ineligible population, and 4 comparisons  
10 for the relapsed and refractory population. The  
11 association between the MRD negative CR and  
12 clinical endpoints were evaluated separately for  
13 each population.

14           This slide summarizes the scope of the  
15 results for the MRD negative CR meta-analyses.  
16 These results broadly apply to both MRD negative CR  
17 at 9 months and MRD negative CR at 12 months. At  
18 the individual level, strong positive association  
19 for PFS and OS is observed across all populations,  
20 which suggests MRD negative CR is a strong  
21 prognostic factor for PFS and OS at the individual  
22 patient level. As for the trial level, moderate to

1 strong association between MRD negative CR and PFS  
2 was only observed in the newly diagnosed  
3 transplant-ineligible population. For the other  
4 two subpopulations, weak or no association was  
5 observed with PFS. At the trial level, weak to  
6 moderate association between MRD negative CR and OS  
7 was observed in all three populations.

8 This table summarizes the individual-level  
9 association results for MRD negative CR versus PFS  
10 and OS. The associations were evaluated separately  
11 for 9-month and 12-month MRD across the three  
12 subpopulations. The last column of this table  
13 presents the global odds ratio with 95 percent  
14 confidence interval. A higher global odds ratio  
15 indicates a higher prognostic value of MRD. This  
16 value can be interpreted as odds of surviving  
17 beyond a particular time point for a patient who  
18 achieves MRD negative CR versus a patient who does  
19 not. The odds ratio ranges from 2.77 to 7.67, and  
20 all 95 percent confidence intervals exclude 1,  
21 indicating strong individual-level association for  
22 all endpoints and settings.

1           This slide presents the trial-level  
2 association results for the MRD negative CR versus  
3 PFS for each disease setting. For brevity, results  
4 are given only for MRD negative CR at 12 months.  
5 For the newly diagnosed transplant-ineligible  
6 population in the middle, the R-squared value met  
7 the threshold prespecified by the I2TEAMM. For the  
8 other two populations, R-squared values were lower  
9 and did not meet the I2TEAMM criteria. Similar  
10 results were observed for MRD negative CR at  
11 9 months.

12           In summary, numerically higher correlations  
13 have been observed for both 9-month and 12-month  
14 MRD assessments in newly diagnosed  
15 transplant-ineligible population. This result is  
16 limited by the fact that only seven two-arm  
17 comparisons are included in this analysis. In  
18 addition, this result was not replicated in other  
19 settings.

20           This slide summarizes the trial-level  
21 association for OS. The associations are generally  
22 weaker for OS than for PFS. None of the R-squared



1 values met the I2TEAMM criteria. Similar results  
2 were observed for the 9-month MRD negative CR. In  
3 summary, weak or moderate association was found  
4 between the MRD negative CR and OS in the  
5 trial-level analysis for all three populations.

6 This slide summarizes the sensitivity  
7 analysis of trial-level association between the  
8 12-month MRD negative CR versus PFS by pooling  
9 populations. This sensitivity analysis was  
10 performed to further quantify the overall evidence  
11 provided across three subpopulations. The plot of  
12 pooled newly diagnosed populations is on the left  
13 and the plot of all three subpopulations pooled is  
14 on the right. Both R-squared values are above 0.5,  
15 suggesting a moderate association between MRD  
16 negative CR and the PFS in the pooled populations.

17 This slide summarizes the sensitivity  
18 analysis of trial-level association between  
19 12-month MRD negative CR versus OS by pooling  
20 populations. Weak associations were found for OS  
21 in pooled populations with both R-squared values  
22 below 0.5.

1           This slide summarizes the surrogate  
2 threshold effect for PFS and OS. As mentioned  
3 before, the surrogate threshold effect is defined  
4 as the minimum treatment effect on the surrogate  
5 necessary to predict a non-zero effect on the true  
6 endpoint. For brevity, these thresholds are given  
7 only for MRD negative CR at 12 months. The values  
8 range from an odds ratio of 2.12 to 12.3, depending  
9 on endpoint and setting.

10           As an example, as shown in the plot below,  
11 an STE value of 2.12 suggests that in a randomized  
12 trial in which a 25 percent MRD negative CR rate is  
13 observed in the control arm, a 41 percent MRD  
14 negative CR rate in the treatment arm would be  
15 needed to predict a positive treatment effect on  
16 PFS. In general, the surrogate threshold effect  
17 can be calculated when there is sufficiently strong  
18 trial-level association and cannot be calculated if  
19 association is not present. Note that the  
20 surrogate threshold effect cannot be calculated for  
21 relapsed/refractory population due to small number  
22 of trials available in this setting.

1           This slide summarizes the results for the  
2 exploratory analysis of MRD negative CR at any time  
3 in the relapsed/refractory population. This  
4 endpoint is defined as achievement of MRD  
5 negativity at any time following achievement of CR.  
6 Only five trials were included in this analysis,  
7 and the results are similar to those for the MRD  
8 negative CR at 9 months or 12 months. For  
9 individual-level association, a strong association  
10 was demonstrated. For trial-level association,  
11 weak association was found for both R-squared  
12 values.

13           Based on the FDA's meta-analyses, we have  
14 the following statistical conclusions. Strong  
15 individual-level associations for MRD negative CR  
16 versus PFS and OS have been observed across all  
17 studies. This indicates that MRD negative CR is a  
18 strong prognostic factor for PFS and OS. Higher  
19 correlation was observed in the newly diagnosed  
20 transplant-ineligible population, although this was  
21 not replicated in other populations. Generally,  
22 weak to moderate trial-level associations were

1 observed for PFS. These associations were weaker  
2 for OS. Moderate associations for PFS were found  
3 in the pooled populations. The results for MRD  
4 negative CR at any time in the relapsed/refractory  
5 setting is similar to the results for MRD negative  
6 CR at 9 or 12 months in this setting; however,  
7 these results are based on only five trials.

8 I will stop here and turn it over to my  
9 clinical colleague, Dr. Rachel Ershler, to discuss  
10 the FDA's conclusions.

11 **FDA Presentation - Rachel Ershler**

12 DR. ERSHLER: Thank you, Dr. Zhang.

13 So where does this leave us? Based on the  
14 meta-analyses conducted by the applicants and the  
15 FDA, there was a lack of strong trial-level  
16 association for MRD and the clinical benefit  
17 endpoints of PFS and OS, indicating that MRD is not  
18 a validated surrogate endpoint; however, the strong  
19 individual-level association for MRD with PFS and  
20 OS did suggest that MRD is prognostic.

21 The analysis results provided robust data  
22 regarding the prognostic value of MRD, as noted

1 previously, data regarding the potential time  
2 points for MRD assessment, and information about  
3 how to potentially design future trials using MRD  
4 as an accelerated approval endpoint as part of a  
5 comprehensive development program.

6           So how can we potentially apply this  
7 information going forward when we think about  
8 designing future clinical trials? If we were to  
9 accept MRD as an intermediate endpoint for  
10 accelerated approval, we have two potential options  
11 for clinical trial design considerations to confirm  
12 clinical benefit.

13           Traditionally, the paradigm has been a  
14 two-trial approach that includes pursuing  
15 accelerated approval based on a single-arm trial in  
16 the late-line setting, followed by a randomized  
17 trial to confirm benefit and support regular  
18 approval. In this scenario, we could consider  
19 replacing ORR with MRD as an intermediate endpoint  
20 in support of accelerated approval. In this case,  
21 a minimum follow-up time should be specified. This  
22 would still be followed by a randomized trial in an

1 earlier line setting for confirmation of clinical  
2 benefit.

3 In the confirmatory trial, it would still be  
4 important to assess MRD -- for example, as a key  
5 secondary endpoint -- to continue to obtain  
6 information on how MRD affects long-term outcomes.  
7 Alternatively, we could consider a single-trial  
8 model in which data from an intermediate endpoint  
9 such as MRD, supported by duration of response, in  
10 a randomized trial in an earlier line setting could  
11 be used for initial accelerated approval.

12 In this scenario, patients could be followed  
13 for longer term outcomes of PFS and OS in the same  
14 trial for verification of clinical benefit for  
15 regular approval. Regardless of the clinical trial  
16 design used, confirmation of clinical benefit will  
17 be required, and accelerated approval may be  
18 withdrawn if benefit is not confirmed.

19 In general, the results of the  
20 individual-level associations were consistent  
21 across the 9-month and 12-month time points and for  
22 MRD negative CR at any time for both PFS and OS;

1 therefore, MRD assessment at any of these time  
2 points may be reasonable. The optimal timing of  
3 MRD assessment may depend on a particular disease  
4 setting. For example, in the newly diagnosed  
5 setting, MRD negativity at 12 months may be most  
6 appropriate, as it allows for assessment after  
7 multiple treatment components that impact the  
8 long-term outcomes, including induction and  
9 transplant; whereas in the relapsed/refractory  
10 patient population, MRD negative CR at any time may  
11 be more appropriate.

12 With regards to durability of response,  
13 durability may be inferred by MRD assessed at  
14 9 months and 12 months; however, for MRD negative  
15 CR at any time, similar to ORR, durability of MRD  
16 negativity may be needed to support the robustness  
17 of this endpoint.

18 And finally, with regards to the MRD assay  
19 considerations, as noted previously, there are two  
20 general technologies used for bone marrow MRD  
21 assessment in multiple myeloma: multiparametric  
22 flow cytometry and next-generation sequencing. The

1 FDA is agnostic to which technology platform is  
2 used; however, the assay should be analytically  
3 validated for its context of use and should be  
4 sensitive to detect a prespecified MRD negativity  
5 threshold.

6 The data presented today show that there is  
7 a strong individual-level association of MRD with  
8 PFS and OS. This indicates that MRD is prognostic.  
9 The data also show weak to moderate trial-level  
10 association. MRD could potentially serve as an  
11 intermediate clinical endpoint instead of ORR, as  
12 it is a measure of a deeper level of response that  
13 can be measured early and may potentially support  
14 expedited drug development. However, there are  
15 still some residual uncertainties with the  
16 potential use of MRD.

17 First, there was a lack of strong  
18 trial-level association, and therefore, MRD was not  
19 established as a validated surrogate endpoint;  
20 however, most endpoints used to support accelerated  
21 approval have weak to moderate trial-level  
22 association with PFS and OS. Another uncertainty



1 is the lack of understanding of how this would be  
2 applied in different disease settings or with  
3 different treatment types.

4 Additionally, the magnitude of benefit is  
5 unknown, and there is also a potential safety  
6 consideration in that if new products are developed  
7 with the intention of targeting deeper levels of  
8 response, depending on the particular therapeutic,  
9 this may potentially lead to excessive toxicity.

10 So while there are some residual  
11 uncertainties regarding the use of MRD as an  
12 endpoint to support accelerated approval, it is  
13 important to note that there are risks associated  
14 with the use of any early endpoint. The  
15 accelerated approval paradigm addresses some of  
16 these risks by requiring confirmation of the  
17 anticipated clinical benefit. Recent FDORA  
18 legislation provides that the FDA may require, as  
19 appropriate, a study or studies to be underway  
20 prior to approval. And finally, the FDA has the  
21 authority to expeditiously withdraw an approval if  
22 the clinical benefit is not verified.

1           So in summary, multiple myeloma remains  
2 incurable and there is a need for alternate  
3 regulatory endpoints other than the traditionally  
4 accepted ORR and PFS that may be assessed earlier  
5 and potentially expedite drug development. The  
6 analyses presented today suggest that MRD  
7 negativity is prognostic in multiple myeloma. This  
8 is also supported by biologic plausibility in that  
9 it is biologically plausible that achieving a  
10 deeper level of response with MRD will be  
11 associated with improvement in long-term outcomes.

12           The accelerated approval pathway is intended  
13 to facilitate expedited approval of novel therapies  
14 based on an intermediate endpoint of clinical  
15 relevance that is reasonably likely to predict  
16 clinical benefit.

17           With that, we would like the committee to  
18 discuss the adequacy of the available data to  
19 support the use of MRD as an accelerated approval  
20 endpoint in multiple myeloma, as well as further  
21 assessment of MRD to advance its use in drug  
22 development. We would also like the committee to

1 discuss whether the available data supports the use  
2 of MRD as an endpoint in different disease  
3 settings, including newly diagnosed and  
4 relapsed/refractory multiple myeloma. And finally,  
5 we would like the committee to discuss the  
6 acceptability of the time points for MRD assessment  
7 and whether an assessment of durability should be  
8 required.

9 Finally, we would like the committee to  
10 consider the following voting question. Does the  
11 evidence support the use of MRD as an accelerated  
12 approval endpoint in multiple myeloma clinical  
13 trials?

14 Finally, we would like to thank all of the  
15 patients and investigators that participated in  
16 these trials, especially given the importance of  
17 the patient-level data for MRD in multiple myeloma.  
18 Thank you.

19 DR. NOWAKOWSKI: Thank you, Drs. Ershler and  
20 Zhang.

21 We will now take a quick break. Panel  
22 members, please remember there should not be

1 chatting or discussion of the meeting topics during  
2 the break. We'll resume at 11:25 Eastern time.

3 Thank you.

4 (Whereupon, at 11:11 a.m., a recess was  
5 taken, and meeting resumed at 11:25 a.m.)

6 **Clarifying Questions**

7 DR. NOWAKOWSKI: We'll now resume, and we'll  
8 take clarifying questions to presenters. When  
9 acknowledged, please remember to state your name  
10 for the record before you speak and direct your  
11 questions to a specific presenter, if you can. If  
12 you wish for a specific slide to be displayed,  
13 please let us know the slide number, if possible.  
14 Finally, it would be helpful to acknowledge the end  
15 of your question with thank you, and the end of  
16 your follow-up question with, "That's all for my  
17 questions," so we can move to the next panel  
18 member.

19 Are there any clarifying questions for the  
20 presenters?

21 DR. NIEVA: Hi. This is Jorge Nieva from  
22 USC. My question is for Dr. Zhang.

1           There seems to be some discordance between  
2 individual-level associations and trial-level  
3 associations in interpreting these meta-analyses.  
4 In general, what are the advantages of trial-level  
5 associations versus using individual-level  
6 associations in order to try to get at these  
7 questions? Thank you.

8           DR. GORMLEY: This is Nicole Gormley. I'd  
9 like to have Dr. Zhang answer initially, and then  
10 we'll have additional comments from the FDA, if  
11 possible.

12           DR. VALLEJO: Yes, I can take that. This is  
13 Jonathon Vallejo, FDA. At the individual level,  
14 we're really talking about whether patients who  
15 respond live longer or have longer progression-free  
16 survival versus those who don't. In theory, this  
17 would translate to a treatment effect if you see  
18 higher response rates in one arm versus another.  
19 That's not always the case, so we tend to look  
20 across multiple trials to make sure that ends up  
21 translating from one treatment effect to the other.

22           So just because we see responders living

1 longer doesn't necessarily mean that that will  
2 translate at the treatment effect level, so that's  
3 the reason that we typically require multiple  
4 studies in meta-analyses with these kinds of  
5 effects.

6 Does that kind of get at your question?

7 DR. NIEVA: Almost. I guess on a more  
8 general basis, in terms of does one approach have a  
9 a certain reliability in one particular situation  
10 or another. I guess with the overall survival,  
11 we're getting at that there may be toxicity issues  
12 at the trial-level that interfere with survival,  
13 but are there any other general advantages to using  
14 one or the other?

15 DR. VALLEJO: In terms of --

16 DR. KANAPURU: Do you want to bring up  
17 slide 99?

18 DR. VALLEJO: Sure. I have a general  
19 presentation, small presentation, about this. Can  
20 we bring up backup slide 99?

21 As this is coming up, in terms of developing  
22 endpoints, probably the weakest rationale is just

1 biological rationale. The next step up would be  
2 individual-level correlation. So if you have  
3 biological rationale and individual patient-level  
4 association that's stronger, the strongest criteria  
5 would be trial level. So that's the strongest  
6 measure of an endpoint for surrogacy.

7 I can clarify further if you want to have a  
8 little more discussion about it.

9 Backup slide 99, please, if there's time.  
10 Maybe we'll have to circle back to that, but is  
11 that ok for now?

12 DR. NIEVA: Yes, that answers my question.  
13 Thank you.

14 DR. NOWAKOWSKI: I believe we have slide 99.

15 DR. VALLEJO: Right. Do you want  
16 me -- okay; sure

17 This is what we were just talking about,  
18 individual level, trial level.

19 Slide 100. Can you move forward one slide?  
20 So as we were talking about, you could just see one  
21 trial, and these are the types of curves we  
22 typically see. Responders do much better than

1 non-responders. You can see this just in a single  
2 trial.

3 In a randomized trial, you might say to  
4 yourself, "Well, what about by treatment? Does it  
5 vary by treatment?" So you can look at this and  
6 inspect within a single randomized trial whether  
7 one treatment has a different kind of association  
8 for responders and non-responders. So you see here  
9 red is treatment, blue is control, so treatment  
10 responders, control of responders, that kind of  
11 thing.

12 Ideally, this would translate to a treatment  
13 effect. If you increase the response rate, you  
14 would hope that you would see longer  
15 progression-free survival. This isn't always the  
16 case. We have a lot of cases in oncology where it  
17 doesn't translate. One reason this might be the  
18 case is we have therapies where if you're a  
19 non-responder in the control arm, you actually do  
20 much worse than you might do -- oh, sorry; in the  
21 treatment arm, you might actually do much worse  
22 than you would in the control arm.



1           So you can see in this case, responders do  
2 better regardless, but non-responders just do much  
3 worse on the treatment arm. So you can see in this  
4 particular example there's no effect on PFS or your  
5 long-term endpoint.

6           In general, what we're trying to do is see  
7 if you positively affect response rate, will that  
8 translate to a positive effect on PFS; or vice  
9 versa, if it's negative, will you see a negative  
10 trend? And if there's no effect, you hope that  
11 there's no effect on PFS. This is pretty stringent  
12 criteria.

13           So just to see that in action, here we have  
14 treatment versus control, and response rate is  
15 higher, PFS is higher. For another trial, ideally,  
16 if you see no difference in response rate, then you  
17 would see no difference in PFS. And similarly, if  
18 it's better than control, you would hope that the  
19 control has longer PFS.

20           Typically, in the meta-analysis, we're  
21 trying to collate these results across the three  
22 different trials or what I have here. Odds

1 ratio 2, hazard ratio of 0.5, and look at them as a  
2 conglomerate. So we take however many trials we  
3 have, and we plot these treatment effects. So odds  
4 ratios for the response rate, hazard ratio for PFS  
5 or survival. Here, odds ratio 2, hazard ratio of  
6 0.5, and that's that dot down there. We plot them  
7 one by one, and then we hope to see a strong  
8 correlation where they fit around a straight line.  
9 So in this case, in this made-up example, this is  
10 like a relatively good correlation.

11 So that just gives you some intuition in  
12 terms of why the individual-level association is  
13 typically not enough, and why we need to see more  
14 at the trial-level and how it would translate.  
15 Does that make sense?

16 (Mr. Nieva nods yes.)

17 DR. NOWAKOWSKI: Dr. Vasan?

18 DR. VASAN: Hi. Neil Vasan, Columbia. Some  
19 more questions about the trial-level associations.  
20 I think it's clear from the briefing documents,  
21 this 0.8 value for the R-squared that was discussed  
22 by the FDA and the applicants, it's an arbitrary

1 number, and certainly that is a number that I think  
2 we're thinking about.

3 I'm thinking about the FDA slide 20, if that  
4 could be brought up. It seems that the real group  
5 that's driving the correlation here is the  
6 transplant-ineligible group, and I think that that  
7 is a theme we've seen with virtually all the data  
8 from both applicants and the FDA meta-analysis, is  
9 that in every analysis, the hazard ratios are  
10 lower -- excuse me, the R-squared values are lower  
11 for the transplant-eligible group compared to the  
12 ineligible group.

13 So I'm trying to understand, first of all,  
14 why that is. I do think that the numbers here are  
15 a more stark difference compared to the applicants'  
16 data, but this is a clear difference, and that  
17 R-squared here, hitting that 0.8 prespecified  
18 value, versus an R-squared of 0.35 is a large  
19 difference.

20 So I'd like to understand why that might be,  
21 and perhaps Dr. Landgren and Dr. Durie could  
22 discuss that from a clinical perspective. But then

1 also from a trial perspective, this designation of  
2 transplant eligible versus ineligible, is this a  
3 realistic way to be thinking about stratifying  
4 trials in the future from a regulatory endpoint  
5 perspective?

6 DR. GORMLEY: Thank you for that question.  
7 I'll actually ask Dr. Kanapuru to initially comment  
8 on the transplant eligible and ineligible, and then  
9 I'll perhaps have Dr. Vallejo mention some comments  
10 about some of the analyses that we've done in these  
11 subpopulations.

12 DR. KANAPURU: Thank you, Dr. Gormley, and  
13 thank you for that question.

14 Yes, as you have seen, at least the current  
15 paradigm for drug development in multiple myeloma  
16 is very distinct, so we do have drug development  
17 being conducted in transplant-eligible patients,  
18 and then transplant-ineligible patient populations;  
19 and part of this is also just related to how drug  
20 development is generally global. A lot of the  
21 trials from the transplant ineligible, they have  
22 very distinct eligibility criteria that are based

1 on age, so you have to meet a certain organ  
2 function to go on to those trials.

3 I think there's a lot of data that's now  
4 coming that some of these distinctions may be  
5 arbitrary; however, all of the trials that we have  
6 in the meta-analysis were based on these two  
7 distinct groups. Given the global drug  
8 development, it is thought that this is probably  
9 something that's going to continue; that the drug  
10 development could be potentially in these two  
11 distinct populations. And even if they're  
12 stratified, I think it's important to understand  
13 what the treatment effects are in the two different  
14 patient populations. Thank you.

15 DR. VALLEJO: In terms of why are they  
16 different, I don't think we know. I think that is  
17 an open question, something perhaps some of you all  
18 with multiple myeloma expertise could discuss or  
19 weigh in on. There are slight differences between  
20 what we did and the two applicants. One of the  
21 main differences here, in terms of trials included,  
22 is that we try to include everything. So there

1 were some trials that only had sensitivity 10 to  
2 the negative 4, and those are also included. So I  
3 think what was presented today was mostly just  
4 10 to the negative 5th assays.

5 But regardless, I would tend to agree with  
6 you; it looks like the ineligible typically is  
7 driving this, but I don't know that we have an  
8 answer to that as to why that would be. And for  
9 these other populations, relapsed/refractory, yes,  
10 it says zero, but there are only four trials there,  
11 so I'm not sure we'd make too much of that. I  
12 wouldn't necessarily believe that there's no  
13 association, but I just don't think we have enough  
14 data to say exactly for that population what it  
15 would be.

16 DR. GORMLEY: This is Nicole Gormley,  
17 Division Director, DHM II. I would just add, I  
18 think just to underscore what Dr. Vallejo said, I  
19 think there's a robustness when we're looking at  
20 all the data, but if we start subsegmenting into  
21 different populations, as Dr. Vallejo mentioned, we  
22 have fewer and fewer trials, which decreases the

1 strength of our ability to detect any associations  
2 as well.

3 DR. NOWAKOWSKI: Dr. Hourigan?

4 DR. HOURIGAN: Thank you. Chris Hourigan.  
5 For the FDA, slide 36, please. So we've had  
6 wonderful, really diligent -- as you'd expect from  
7 our regulatory colleagues -- presentations on the  
8 levels of evidence required to go all the way  
9 through to a validated surrogate endpoint for  
10 regular approval. I wanted to just hone in on this  
11 residual uncertainty and say are these really  
12 uncertainties?

13 We're talking here about accelerated  
14 approval. Is the strong trial-level association  
15 required for an intermediate endpoint?

16 DR. ERSHLER: Rachel Ershler, FDA. No. So  
17 that is required for validation of a surrogate  
18 endpoint that could potentially, with totality of  
19 data, be used for regular approval. In the overall  
20 uncertainty in this, the meta-analysis that we did,  
21 did not provide that strong trial-level  
22 association; however, as we've commented on, most

1 endpoints in oncology do not meet that bar,  
2 particularly for accelerated approval.

3 DR. HOURIGAN: So just to restate, just so  
4 I'm really clear on the evidence, what you're  
5 telling us here is you don't believe the evidence  
6 is here for a regular approval as a validated  
7 endpoint. What we're discussing here is an  
8 accelerated approval where that strong trial-level  
9 association is not required; is that correct?

10 DR. ERSHLER: That is correct.

11 DR. HOURIGAN: Thank you. No more questions

12 DR. NOWAKOWSKI: Mr. Mitchell?

13 MR. MITCHELL: Yes. I would like to tease  
14 out a little more the difference between newly  
15 diagnosed and relapsed/refractory. Dr. Landgren's  
16 data, in his summary, he concludes the significant  
17 effect of new therapy on PFS and newly diagnosed  
18 multiple myeloma and did not talk specifically, in  
19 your conclusion, about relapsed/refractory. Then,  
20 in the subsequent data that was presented by the  
21 FDA, it appears that there's a weaker association,  
22 so I have two questions.



1           Should we be thinking about MRD as useful in  
2 both populations, and what do we do to make sure  
3 that we are continuing to design trials to examine  
4 more closely MRD with the relapsed/refractory  
5 population? So it's two things I'm asking, and  
6 anybody.

7           DR. GORMLEY: Yes. I think that you bring  
8 up, Mr. Mitchell, really great questions, and you  
9 are correct, that the strength of the association  
10 in the relapsed/refractory population was less.  
11 Dr. Landgren's analysis did not evaluate the  
12 relapsed/refractory patient population, and I don't  
13 want to speak for him, but that may explain why he  
14 didn't necessarily comment on that population.

15           I think, as we mentioned, our analysis was  
16 limited by the number of trials that were included  
17 in that there were really only four trials. So if  
18 you are going to use MRD in the relapsed/refractory  
19 setting, it really does require some extrapolation  
20 to say that we think that these associations are  
21 strong enough, generally, in multiple myeloma, and  
22 we don't see that many differences such that it

1 will be different in a newly diagnosed versus newly  
2 diagnosed transplant eligible, versus  
3 relapsed/refractory, that would prevent us from  
4 relying on this data. But that's somewhat of a  
5 judgment call, and that's one of the questions we  
6 would like for this committee to discuss further.

7 I would just add -- I'm not sure I'm fully  
8 addressing your second question. Could you restate  
9 it again?

10 MR. MITCHELL: The second question is, how  
11 do we design trials so that we are getting at the  
12 utility of MRD with the relapsed/refractory  
13 population, even if we're saying we don't have  
14 evidence for it now, or because we're saying we  
15 don't have enough evidence for it now, particularly  
16 at the trial level, with relapsed/refractory?

17 DR. GORMLEY: Thank you for jogging my  
18 memory. It's a really important question, and  
19 that's one of the things that we really want to  
20 highlight as well; that we think it's really  
21 important that subsequent trials continue to  
22 collect data on MRD as a secondary endpoint,

1 ideally with alpha allocation and statistical  
2 powering, such that we can really rely on those  
3 results, and then further refine or develop our  
4 understanding of how to best use MRD as an endpoint  
5 as well, throughout drug development, not just as  
6 an endpoint.

7 But I think what our thoughts are, is that,  
8 generally, this has been a really robust assessment  
9 thus far that's included multiple  
10 randomized-controlled trials and a meta-analysis,  
11 and multiple meta-analyses here that have evaluated  
12 the strength of data for MRD to be used as a  
13 potential endpoint. There are still some unknown  
14 areas, but we think that this is an opportunity to  
15 further collect this information in subsequent  
16 trials, subsequent randomized trials, to get  
17 additional data to help inform us how to best use  
18 this.

19 DR. KANAPURU: Yes. I just have one more  
20 comment just to add to what was said. Again, here  
21 we are talking about the use of MRD to support  
22 accelerated approval, and it was just pointed out,

1 this is going to be considered as an intermediate  
2 clinical endpoint, and we have evidence that  
3 achieving a deeper level of response is biological  
4 plausibility.

5 The individual associations were strong  
6 across all of the disease settings. The  
7 trial-level associations, yes, they were different,  
8 but again, as pointed out, we don't need that  
9 strong trial-level association for an accelerated  
10 approval endpoint.

11 MR. MITCHELL: For what?

12 DR. KANAPURU: For an accelerated approval  
13 endpoint, we don't need that strong trial-level  
14 association, and none of the current endpoints that  
15 are used for accelerated approval do not have or  
16 don't show strong trial-level association. Thanks.

17 MR. MITCHELL: Thank you.

18 DR. NOWAKOWSKI: Thank you.

19 Maybe I'll ask Dr. Landgren to comment on  
20 the issue, which was brought several times, this  
21 dichotomy in newly diagnosed patients for  
22 transplant eligible and transplant ineligible, and

1       how the field is changing in this regard with the  
2       new therapies.

3               DR. LANDGREN:  Sorry.  My Scandinavian gene  
4       pool is making me too tall here.

5               (Laughter.)

6               DR. LANDGREN:  So the question is why do we  
7       see a stronger ALT ratio in the transplant in  
8       ineligible versus the transplant-eligible  
9       population?  I don't think we know that for sure.  
10       We don't have any detailed information on that.  
11       But I think, as we heard from Dr. Gormley and the  
12       FDA team, the number of trials are, to begin with,  
13       quite small, and when we start slicing the data  
14       into further subgroups, we run into issues with  
15       statistical power.  So I think the formal way to  
16       fully address the question will be to continue to  
17       capture data in future studies to better  
18       understand.

19               But I also would like to say that you have  
20       heard from our team today, from the EVIDENCE study,  
21       that there is a very strong correlation on a  
22       patient level between MRD as a surrogate endpoint

1 and progression-free survival, and you heard the  
2 same thing from the I2TEAMM team. So you heard two  
3 independent studies showing correlation.

4 The last thing I will also say is that in  
5 our statistical analysis plan, we have three  
6 primary endpoints: the transplant eligible,  
7 transplant ineligible, and also the  
8 relapsed/refractory patients. We chose to not  
9 include that in the briefing book, the last part,  
10 because the number of trials were small, but our  
11 results are very similar to what you heard from the  
12 I2TEAMM, so you have two studies showing the same  
13 thing also in the relapsed setting. Thank you.

14 DR. NOWAKOWSKI: Thank you.

15 Dr. Maurer?

16 DR. MAURER: Thanks. Matt Maurer, Mayo  
17 Clinic. If you could bring up the I2TEAMM  
18 slide 37? As that's coming up, one question I had  
19 is around the MRD negativity rate, across the  
20 different settings, transplant eligible,  
21 ineligible, and relapsed/refractory. It would be  
22 helpful if we could see per trial what the MRD

1       negativity rate is. I haven't seen that in any  
2       presentation yet, but I'll point out here that you  
3       see that it's a very low MRD negativity rate,  
4       especially in the transplant ineligible, as well as  
5       the relapsed/refractory.

6                So moving forward, I would be  
7       interested -- that has some implications, if we  
8       look at FDA slide 22 in terms of you're seeing some  
9       very large odds ratios for MRD because it's  
10      probably an uncommon event of MRD negativity in  
11      these settings.

12               So I guess my question is for the FDA.  
13      Moving forward, as we expect higher MRD negativity  
14      rates in the studies that we're doing, these  
15      studies done in scenarios with very low MRD  
16      negativity rates, how can we project that forward  
17      in future studies if we expect higher MRD  
18      negativity rates?

19               DR. GORMLEY: That's a good question.  
20      Nicole Gormley, FDA. I'll start. I think it is  
21      true that one of the challenges always with the use  
22      of a meta-analysis is looking at the data that you

1 currently have, and then figuring out how you're  
2 going to apply this to future clinical trials; and  
3 yes, we do expect and hope that MRD negativity  
4 rates will increase as the strength of our  
5 therapies do improve.

6 I think that the initial work that we've  
7 done, or that has been done, looking at the  
8 surrogate threshold effect will help provide a  
9 little bit of guidance in terms of the differential  
10 that would still be clinically meaningful, even if  
11 the absolute MRD rates are increasing. I think  
12 it's the differential between the MRD negativity  
13 rates, between arms, that would be most helpful  
14 and, again, underscoring, to some extent, the  
15 strength of randomized data as well, in particular,  
16 if it's a randomized trial that's being used.

17 DR. NOWAKOWSKI: Dr. Martin?

18 DR. MARTIN: First, a comment. I'd like to  
19 thank all the presenters, and it was really nice to  
20 see that all the presentations really harmonized  
21 with the end result, but I have a few questions for  
22 people.



1 Rachel, I have a question for you. The  
2 trial-level association for the  
3 non-transplant-eligible population, you assessed  
4 that as moderate to strong relationship for PFS,  
5 but I don't think for OS. So for surrogacy, does  
6 it have to meet it both for PFS and OS for regular  
7 approval?

8 DR. GORMLEY: This is Nicole Gormley. I'll  
9 start. Just to be transparent, if there were  
10 strong trial-level association demonstrated,  
11 typically we would compare that to endpoints that  
12 we use for regular approval now, but any  
13 association, if we were ever going to say that this  
14 is a validated surrogate, it would be the totality  
15 of data. So yes, we would look at the surrogacy  
16 for PFS as trial-level associations, and we would  
17 look as well at the trial-level associations for  
18 overall survival. And again, it would be the  
19 totality of data that would inform that decision.

20 I would just add to that, really  
21 underscoring the importance of even if it's a PFS  
22 endpoint, for example, that's used, or any

1 validated surrogate that's used for a regular  
2 approval, we still look at overall survival data.  
3 And that really was the purpose and underscored, in  
4 particular, at the overall survival workshop that  
5 we had this past July, the importance that even if  
6 the endpoint is not overall survival, if it's PFS  
7 even for regular approval, overall survival  
8 information is still evaluated because it is both  
9 an efficacy and safety endpoint.

10 At that workshop, in particular, we  
11 discussed ways to look at overall survival when  
12 it's not the primary endpoint and methods to look  
13 at it, in particular, to rule out harm, and there  
14 are multiple ways to do this, but also thinking  
15 about coming up with statistical criteria as well  
16 to prespecify how OS would be evaluated when it's  
17 not from an efficacy standpoint.

18 So that's a little bit of a long-winded  
19 question to your answer, but it's basically saying  
20 overall survival is important --

21 DR. MARTIN: Yes.

22 DR. GORMLEY: -- and that would be evaluated

1 in any context.

2 I don't know if others have anything to add.

3 DR. PAZDUR: Well, obviously, it depends on  
4 the context, if you're asking surrogacy for PFS or  
5 surrogacy for overall survival. They don't  
6 necessarily have to be concordant, obviously. One  
7 would want, obviously, surrogacy for overall  
8 survival because that's the true clinical benefit  
9 endpoint, but there may be reasons why one cannot  
10 show that -- numbers of patients, et cetera -- but,  
11 obviously, that's the stronger clinical endpoint,  
12 overall survival. You would ask yourself that  
13 question, and that's a matter of judgment on what  
14 we would take at that time, but we have used PFS as  
15 a full approval endpoint.

16 DR. MARTIN: Then maybe I can ask the  
17 I2TEAMM or the Miami team, in terms of the 9-month  
18 and the 12-month time frame for MRD, was that from  
19 the start? For the transplant-eligible patients,  
20 was that from the start of induction or was that  
21 from transplant? Just to get the time down.

22 DR. DEVLIN: Yes. Sean Devlin. So it's all

1 from the time of randomization.

2 DR. MARTIN: Okay.

3 DR. DEVLIN: So it's either 9 months or  
4 12 months from the time of randomization.

5 DR. MARTIN: Okay.

6 So a question for the FDA on that -- because  
7 this is looking at early endpoint but, again, you'd  
8 want to have safety in the risk mitigated during  
9 this period of time -- if you look at the 9 month  
10 and the 12 month, because we've had some myeloma  
11 trials that have, unfortunately, had the results  
12 that we we really didn't want, is that 9-month or  
13 12-month time point, is that good enough for us to  
14 look at the overall survival endpoint at that point  
15 in time and see the difference in overall survival?  
16 Is there any study that we would have missed in  
17 that if we had to wait longer?

18 DR. GORMLEY: Yes. I will say that we can  
19 often look at overall survival information if it's  
20 a randomized trial. So we can only assess overall  
21 survival if it's a randomized trial, and single-arm  
22 trials, we cannot, just because of the inherent

1 biases and differential information available.

2           So if it's a randomized trial, we sometimes  
3 can and do ask for information about overall  
4 survival at the time of any regulatory decision,  
5 even if it were based on MRD, or response rate, or  
6 PFS. The issue is, is that it's often not mature  
7 at that time point. So depending on where it is in  
8 the study, there may be interim analyses planned,  
9 or there may be enough information such that we can  
10 have an assessment, but I think that's the  
11 advantage, really, or strength of accelerated  
12 approval, is that there is that requirement for  
13 confirmatory benefit from a subsequent trial.

14           So if it's not available from that initial  
15 trial, whether that's a single-arm trial or a  
16 randomized trial with an early endpoint as the  
17 accelerated approval and an immature overall  
18 survival, we will be looking at it later at the  
19 time of a subsequent submission.

20           DR. PAZDUR: But to answer your question  
21 just briefly, a formal analysis for overall  
22 survival with adequate number of events probably

1 would not be done at that time. It would have not  
2 enough events.

3 DR. NOWAKOWSKI: Thank you.

4 Dr. Advani?

5 DR. ADVANI: Yes. Ranjana Advani, Stanford.

6 I have a question for the Florida team; slide 32,  
7 please. Sorry. Slide 30.

8 DR. LANDGREN: Dr. Devlin will answer this  
9 question.

10 DR. ADVANI: I'm just a little confused as  
11 to the first bar there -- not this one.

12 DR. DEVLIN: I think you're on the wrong  
13 slide deck. It's for the EVIDENCE trial.

14 DR. ADVANI: It's a different slide deck,  
15 yes. The diagram of patients in the VGPR who were  
16 MRD negative at 12 months, why were they  
17 categorized as MRD positive?

18 DR. DEVLIN: Per our statistical analysis  
19 plan, for MRD evaluation, they would have to be in  
20 a complete response at the time of their MRD  
21 evaluation, even if they subsequently achieved a CR  
22 afterwards.

1 DR. ADVANI: And what was the percentage  
2 overall which fell into that category?

3 DR. DEVLIN: I don't know off the top of my  
4 head, but probably not a lot of patients in that  
5 category. I think I could defer to Dr. Landgren,  
6 who monitors patients and would probably know and  
7 could address that, how often that happens. But  
8 this was the decision we made in collaboration with  
9 advice from the FDA that we are only considering an  
10 MRD negative result if they had a previous complete  
11 response prior to that, which is following the IMWG  
12 response categorization.

13 DR. ADVANI: Because, clinically, I don't  
14 know if it matters that much, as long as you  
15 achieve a -- at some point, the outcomes probably  
16 will be the -- I don't know, and that's why the  
17 confusion.

18 DR. DEVLIN: Yes. I would be happy to defer  
19 that clinical question to Dr. Landgren.

20 DR. LANDGREN: I think that's an excellent  
21 question, and as a clinical treating physician, I  
22 would agree with you, but for the purpose of this

1 statistical analysis plan, with the FDA, we had a  
2 lot of discussions. It took us many years to  
3 arrive at the final version, and the decision was  
4 that we should have strict criteria. Only patients  
5 that had achieved a CR should be tested for MRD  
6 within this window of 12 months plus/minus 3 months  
7 as the criteria.

8           The consideration was that a patient that  
9 has a VGPR could have a residual 10 percent protein  
10 compared to the baseline protein, which could  
11 potentially indicate that there were some tumor  
12 cells left behind. But as a clinical doctor, I  
13 also know, treating thousands of patients with  
14 myeloma, that there is a delayed clearance of these  
15 proteins. Many times when you see these proteins  
16 and you test the patient, MRD could be negative,  
17 and a few weeks or months later, it will clear, so  
18 we've also delayed clearance. But just to make it  
19 very, very conservative for the purpose of the  
20 analysis, we used this approach.

21           We did sensitivity analyses when we included  
22 these patients, and there are many other examples.



1 You had patients who were tested for MRD before and  
2 after the time window, and they were in a CR. You  
3 could assume that they probably were MRD negative  
4 in the window but, again, sticking to the  
5 statistical plan, we worked with the FDA. This is  
6 how it was done, and I think that is how it should  
7 be done also.

8 DR. ADVANI: Thank you.

9 DR. NOWAKOWSKI: Dr. Maurer?

10 DR. MAURER: Matthew Maurer, Mayo Clinic.

11 If you could bring up FDA slide 32, please? While  
12 that comes up, my question for the FDA, then, would  
13 be, are we considering MRD as a potential  
14 accelerated endpoint in a single-arm trial in this  
15 setting moving forward?

16 DR. GORMLEY: This is Nicole Gormley. Yes,  
17 and again, that's something we'd like for the  
18 committee to discuss. Currently, drug development  
19 within multiple myeloma, most commonly it's this  
20 sort of approach, where a single-arm trial is done  
21 in a more refractory patient population, and then a  
22 randomized trial in an earlier line is done to

1 confirm the clinical benefit.

2 We are advocating, and do advocate, the next  
3 slide, which shows a single trial be done that's  
4 randomized at the outset -- thank you -- for an  
5 initial MRD accelerated approval, and then  
6 following those patients in that same trial for  
7 progression-free survival and overall survival.

8 But there are logistical challenges, in particular,  
9 disease-specific challenges with this sort of trial  
10 approach in terms of, specifically, in those  
11 earlier lines, is there enough data available to  
12 evaluate this in combination with other therapies,  
13 the appropriateness of the control arm of either a  
14 doublet or triplet in some of those earlier lines.

15 So there are unique circumstances in  
16 multiple myeloma where this type of trial approach  
17 would be reasonable, but we aren't able, I don't  
18 feel, to only have randomized trials in multiple  
19 myeloma, although I think there's the most amount  
20 of evidence gained for randomized trials, and in  
21 regards to efficacy, safety, there's the most  
22 amount of robust information gained from randomized

1 trials. But for MRD likely to be useful as an  
2 expedited endpoint, there would still probably need  
3 to be some use of single-arm trials.

4 DR. KANAPURU: Yes, and I'd just like to  
5 add, considering MRD as a deeper response, we've  
6 used overall response rates in single-arm trials  
7 because we know that this is probably measuring the  
8 activity of the drug, so similarly, if MRD is a  
9 response endpoint, it is probably reasonable to  
10 also use this in single-arm trials; but, obviously,  
11 there are limitations in that the safety without a  
12 control arm cannot be assessed. But as Dr. Gormley  
13 mentioned, having that confirmatory trial underway  
14 or following verification of benefit will mitigate  
15 some of those risks. Thank you.

16 DR. MAURER: If I could just follow up on  
17 that, then, is there sufficient evidence in this  
18 clinical setting to know what the bar would be for  
19 a clinical benefit or a positive study using MRD as  
20 an endpoint here?

21 DR. KANAPURU: Thank you for that question.  
22 I think you're asking about the magnitude of

1 benefit in MRD and myeloma?

2 DR. MAURER: Or with using a single-arm  
3 trial, do we have enough data to kind of know that  
4 this is a meaningful, efficacious study using this  
5 endpoint?

6 DR. KANAPURU: Yes, I think that's a very  
7 important question and, obviously, there is a lot  
8 of data from prior trials on how the MRD reads, at  
9 least from the current trials. I think you can  
10 still design a single-arm trial with a hypothesis  
11 for a specific MRD rate to show that your drug is  
12 actually beneficial, but I think that's still an  
13 open question and has to be decided on a  
14 case-by-case basis.

15 DR. PAZDUR: But you would also have the  
16 overall response rate, too --

17 DR. KANAPURU: Yes.

18 DR. PAZDUR: -- also in these trials, so you  
19 could get a feel of this. I think one of the  
20 issues most people have, we have a feel in oncology  
21 what a 30 percent response rate is compared to an  
22 80 percent response rate, but we don't have that

1 necessary feel about MRD positivity or negativity.  
2 And here again, we need more experience with it, so  
3 you'd be taking a look at the total body of  
4 evidence that would come in.

5 DR. NOWAKOWSKI: Dr. Madan?

6 DR. MADAN: Thank you. Ravi Madan, National  
7 Cancer Institute. If we could put up Dr. Ershler's  
8 slide 33 again, about the hypothetical future trial  
9 designs; if guidance comes from the FDA that MRD is  
10 an accelerated approval endpoint, it changes the  
11 incentives for clinical or therapeutic development.  
12 So all of a sudden, perhaps, you could see a world  
13 where preclinical modeling is now more focused on  
14 the biologic and maybe less the clinical, as would  
15 the phase 1 and 2 development.

16 So in this pragmatic design, although there  
17 has been great concordance between MRD and PFS,  
18 that may not predict future results because the  
19 incentives have changed. So in that context, if  
20 there is not alignment with PFS, would that be the  
21 signal to remove the accelerated approval or would  
22 you still wait for OS?

1           Dr. Landgren and colleagues, if you want to  
2 comment on this scenario as well, but FDA, first,  
3 in terms of your thoughts on PFS being negative, if  
4 MRD is positive, would that be sufficient to remove  
5 the accelerated approval?

6           DR. GORMLEY: So we, unfortunately, at the  
7 FDA have had experience where we have had to pursue  
8 withdrawal of therapeutics, and when that has  
9 occurred, I'll just start off from the outset, it's  
10 a totality assessment. We're evaluating everything  
11 in that case. We're looking at the safety, we're  
12 looking at the death narratives, we're doing deep  
13 dive into -- I'll spare you the details, but  
14 multiple different types of analyses. It's a  
15 totality assessment at that point.

16           Oftentimes if the MRD is positive, and the  
17 same trial was followed up, and the PFS was  
18 negative, at that time, we would have information  
19 likely on overall survival. And even if it wasn't  
20 OS as an efficacy endpoint, we would have  
21 information on OS as a safety endpoint. So there  
22 would be likely information about OS that would

1 also help to inform that decision at that time.

2 So to answer your question, it would be  
3 pretty unlikely that we would be in a situation  
4 where we had information on PFS and no information  
5 on OS. We should have enough that could help  
6 inform that decision at that time to withdraw, if  
7 necessary. But it really is important that there's  
8 verification of clinical benefit from an initial  
9 accelerated approval to a regular approval because  
10 it's really important for multiple reasons. One,  
11 it's important for the validity and public trust in  
12 our approvals, and then also, we don't want to do  
13 harm to patients. It's really important that we  
14 get it right. So that's an assessment that we make  
15 at that time, but it's really based on the totality  
16 of data.

17 I don't know if others want to comment.

18 DR. THEORET: And just to add to that, one  
19 of the important factors to consider when we're  
20 looking at a confirmatory trial that did not verify  
21 clinical benefit, in addition to the safety  
22 considerations with overall survival, it's

1 increasingly difficult as there are more and more  
2 therapies, more and more effective therapies, that  
3 actually measure overall survival in a different  
4 context. But it's also very important to consider  
5 what is the therapeutic landscape and has that  
6 changed; has that therapeutic landscape changed for  
7 which the accelerated approval was actually  
8 granted? Are there more therapies that have been  
9 approved, more effective therapies, than the  
10 initial accelerated approval when that occurred?  
11 So that would be a consideration as well.

12 DR. MADAN: Yes. I think it could work the  
13 other way, too, right? You have MRD high, PFS is  
14 not what you would have hoped for, but the  
15 subsequent therapies balance that out. Again,  
16 we're changing the incentive structure when we move  
17 to these kind of endpoints, and I think that -- and  
18 I'd welcome the clinical input as well, in terms of  
19 if there is a disconnect between PFS and MRD  
20 specifically, how confident are you, then, that  
21 there is clinical benefit?

22 DR. LANDGREN: So may you kindly repeat the



1 exact question?

2 DR. MADAN: Yes. I'm just saying, as we  
3 move forward in kind of an MRD world, if that's  
4 where we're going, you changed the incentives to  
5 really target your trial designs and therapeutic  
6 development on maximizing MRD with maybe less  
7 emphasis on the backend clinical just because it's  
8 maybe not investigated as much before you decide to  
9 move forward. So how confident would you be if PFS  
10 didn't align with MRD; that you had to wait for  
11 some sort of signal from OS to say that maybe this  
12 isn't as effective as MRD suggested?

13 DR. LANDGREN: So my answer back is that  
14 drug development is very difficult. FDA has a  
15 difficult role making sure that they evaluate and  
16 approve drugs that are safe and effective and also  
17 to ensure expedited access to new therapies. It is  
18 a difficult task, but I think what we have provided  
19 here today is the body of evidence from the entire  
20 literature, for the entire available data sets and  
21 published literature from trials around the world.  
22 And I think you have seen in two independent

1 analyses consistent results that MRD negativity is  
2 a very strong predictor of progression-free  
3 survival. It fits the bill. It fits the bill of  
4 the regulation that the FDA has stipulated for a  
5 biomarker reasonably likely to predict clinical  
6 benefit. It's hard to speculate for me beyond  
7 that.

8 I think, also, the FDA has also highlighted  
9 the fact that MRD will be viewed as a totality,  
10 where progression-free and overall survival data  
11 also will be included in the determination. So the  
12 example of a trial eventually not reading out, I  
13 would assume that it would not be any different  
14 from a trial where ORR in the current landscape was  
15 done, and then the final endpoint didn't read out.  
16 So that would be in line with the example the FDA  
17 showed us. They would take back. It would not get  
18 the full approval.

19 So I would say MRD and ORR are not any  
20 different from each other. We are talking about an  
21 intermediate early endpoint for drug approval  
22 reasonably likely to predict clinical benefit.

1 There is no difference with ORR.

2 DR. MADAN: Okay. Thank you.

3 DR. NOWAKOWSKI: Thank you.

4 Mr. Mitchell?

5 MR. MITCHELL: Yes. Can we pull up the  
6 I2TEAMM slide number 18, please? And I have a  
7 question. Help this layperson patient understand  
8 the magic of 10 to the 5th power and why we are  
9 drawing a line there. Also, does this slide tell  
10 us something about the predictive power of MRD,  
11 given what we see happening with the plot?

12 DR. DURIE: David, I'll have Dr. Paiva  
13 respond to that.

14 DR. PAIVA: Thank you for the question. I  
15 think that this slide illustrates well the power of  
16 MRD in predicting clinical benefit in terms of  
17 progression-free survival, and what the slide is  
18 showing is that for patients achieving an MRD  
19 negative result -- and I will focus on 10 to the  
20 minus 5 -- it will translate into a reduction in  
21 the risk of progression and/or death of  
22 approximately 70 percent. So that is the magnitude

1 of clinical benefit that we have seen across  
2 different trials and patient populations, and drugs  
3 have the benefit of achieving an MRD negative  
4 result.

5 MR. MITCHELL: As a patient, wouldn't I  
6 prefer that that we draw the line at 10 to the 6th  
7 power because I'm going to get a deeper response,  
8 and we should be shooting for that? I still don't  
9 understand why 10 to the 5th power is the magic  
10 line.

11 DR. PAIVA: I appreciate the question.

12 MR. MITCHELL: Maybe it's me not  
13 understanding.

14 DR. PAIVA: From the patient point of view,  
15 the prognostic point of view, as well as from the  
16 clinical management, the more sensitive the MRD  
17 assessment, the better the prediction of clinical  
18 benefit, as shown in the slide, and this would  
19 speak for the 10 to the minus 6 sensitivity  
20 threshold. However, for the purpose of today's  
21 meeting that is to use MRD negative rates as a  
22 marker of reasonably likely to predict clinical

1 benefit, then you need to require or rely on a  
2 sensitivity threshold that can be achieved in all  
3 the patient samples that will be collected in that  
4 trial. And our accumulated evidence in the past  
5 10 years using these methods in large multicenter  
6 clinical trials is that a 10 to the minus 5  
7 sensitivity can be achieved in virtually all  
8 samples, and this is why this is a threshold that  
9 they selected for this purpose.

10 MR. MITCHELL: Thank you.

11 DR. NOWAKOWSKI: Thank you.

12 Mr. Riotto?

13 DR. KANAPURU: I'd just like to add a little  
14 bit.

15 Mr. Mitchell, thank you very much. I think  
16 that's a very important question, and I think from  
17 a patient point of view, I think it's very  
18 reasonable to ask for lower sensitivity threshold,  
19 but again, as pointed out, the majority of the data  
20 we have, really, to support the use of MRD as an  
21 endpoint is based on this 10 to the negative 5.  
22 There is emerging data that maybe having a lower

1 threshold like 10 to the negative 6 may be better,  
2 and that's why I think it's really important to  
3 evaluate that in all of the future trials as well.  
4 And this may change in the future as we get more  
5 data, but at the current time, the data supports  
6 the 10 to the negative 5 threshold. Thanks.

7 DR. GORMLEY: And just to add a little bit  
8 more, too, from a regulatory and a clinical trial  
9 perspective, you want a sensitivity level that  
10 allows you to discriminate between the two  
11 treatments, and 10 to the minus 6, just by way of  
12 example, you may only have one or two  
13 patients -- I'm just giving examples -- whereas if  
14 you use 10 to the minus 5, you might have 15 to  
15 20 patients, and that might allow you more  
16 discriminating power as well. So there's a  
17 difference there between what you would want as an  
18 individual patient, and then what would be best for  
19 an endpoint for a clinical trial, perhaps, just  
20 because of the discrimination.

21 DR. NOWAKOWSKI: Mr. Riotto?

22 MR. RIOTTO: Michael Riotto, patient

1 representative. As a myeloma patient, time is  
2 always not on my side, shall we say. So the  
3 question is, both the Miami group and the I2TEAMM  
4 group both mentioned 10 years to get through a  
5 clinical trial. So if MRD negativity was approved  
6 as a surrogate endpoint, do you have a best guess  
7 at what the timeline would be to get a drug to  
8 market, then? Thank you.

9 DR. DURIE: Dr. Anderson will have an effort  
10 for this one.

11 DR. ANDERSON: No, I think it's a very, very  
12 good question, and, honestly, the reason we're here  
13 is that we need an earlier endpoint so that  
14 patients like you can get access to new drugs in a  
15 reasonable period of time. And you heard from the  
16 FDA and from us, and thank goodness, from all the  
17 work in this room, the response rates to the new  
18 drugs are very, very high nowadays, and the PFS,  
19 and even OS, is prolonged, so it isn't reasonable  
20 to do the same old paradigm in drug development.  
21 So CR, MRD negativity, seems like an early  
22 indicator that might move things more quickly.

1 I can't really speak to what that's going to  
2 mean now. I think in the past when accelerated  
3 approval has been used in myeloma, which it has  
4 been used very commonly, it got patients drugs  
5 two and a half years earlier than would have  
6 otherwise been the case. They went on to get their  
7 full approval, but the fact that they were  
8 accelerated approval let two and a half years worth  
9 of patients get that drug earlier.

10 So I think what you heard in our analysis,  
11 it was 9 to 12 months, and Miami 12 months, but  
12 we're going to get information on MRD within the  
13 first year. So my guess for you is that it is  
14 going to translate in us discriminating between  
15 arms and randomized trials much earlier. So  
16 earlier is key, but without it, honestly, we can't  
17 distinguish things very well anymore based on the  
18 overall response rate. We really need something  
19 that can discriminate better and as an earlier  
20 indicator, predict for PF, be associated with  
21 prolongation and PFS. So I think it's fair to say  
22 that you will know whether a drug is effective



1 earlier, and that should translate into earlier  
2 approval and earlier access for patients like you.

3 DR. NOWAKOWSKI: Thank you.

4 Dr. Martin? Okay.

5 Dr. Vasan?

6 DR. VASAN: Hi. Neil Vasan, Columbia. This  
7 is regarding I2TEAMM, slide CC-22. The data in the  
8 meta-analyses did not involve CAR T cells or any  
9 other cellular products, so these data obviously  
10 correlate, show that MRD negativity is correlating.  
11 So I think the question I have for Dr. Gormley is,  
12 MRD here was tested for antibodies and small  
13 molecules. Is this something that would need to be  
14 decades later, 10 years from now, redemonstrated in  
15 another formal meta-analysis, as today, for these  
16 newer therapies?

17 Obviously, this decision will have  
18 implications for the near and late future, and I  
19 say that because CAR T cells we know have late  
20 toxicities, and in a 9-month or 12-month assessment  
21 for MRD, that may or may not be reflected. So if  
22 there could be a little bit of speculation to the

1 decision we're making today and how that's going to  
2 affect therapies like CAR T cells, but even  
3 therapies 10 years from now that we may not know  
4 what they are.

5 DR. GORMLEY: No, that's a very pivotal  
6 question, so thank you. I think there are a few  
7 considerations here, and I think the way that I  
8 think of this is that this body of evidence and the  
9 data that we have now is really foundational to our  
10 understanding of how to use MRD, specifically in  
11 multiple myeloma. There are a lot of other  
12 settings, though, and you're correct, this analysis  
13 and these meta-analyses were limited to small  
14 molecules, antibodies, biologics, and there will be  
15 new therapies, including CAR T, that we don't know.  
16 And there are also additional populations that we  
17 don't know about, including smoldering multiple  
18 myeloma, and even earlier perhaps precursor states.

19 So how do we apply this body of evidence to  
20 other settings that are beyond the scope of this  
21 particular meta-analysis? I think from my  
22 perspective, it's not necessarily a complete redo

1 of all of the analyses, but it's perhaps looking at  
2 the strength of the data that we have in certain  
3 settings and what can be extrapolated. And I don't  
4 have the specific answers to you for specifically  
5 CAR T or specifically smoldering at this point, or  
6 other such extrapolations, but I do think that the  
7 evidence such as this shown here on the slide and  
8 other studies can help inform how reasonable it is  
9 to extrapolate the experience and the foundation  
10 that we have from this body of evidence to other  
11 settings.

12 So specifically, again, CAR T and products  
13 were not included in this analysis, but other  
14 information can help supplement and allow us to,  
15 within the regulatory agency, have confidence that  
16 it can be used in these other settings. So I don't  
17 think it's quite the same as a complete replication  
18 or a complete new analysis that needs to be only  
19 done with 10 randomized trials for CAR T therapy  
20 per se, but there can be other information that can  
21 help supplement our understanding.

22 DR. KANAPURU: And just to add to that, I do

1 think that it is important to understand because  
2 there are some differences. As we know, CAR T is a  
3 one-time treatment, and the drugs that we currently  
4 have are continuous. So it is really important to  
5 understand the kinetics of MRD response, as well as  
6 how these patients do in association with long-term  
7 outcomes. But as Dr. Gormley mentioned, I think we  
8 already have a body of evidence that we can build  
9 on, and it may not require the time that we took to  
10 get here to also consider the use of MRD in CAR T  
11 therapies. Thanks.

12 DR. VASAN: Thank you.

13 DR. THEORET: And just to add a bit, in  
14 terms of the accelerated approval pathway, it does  
15 reflect some uncertainty in terms of whether  
16 clinical benefit will ultimately be verified in  
17 that approval pathway. It also may speak to the  
18 importance, in general, of randomized trials, too.  
19 When you're evaluating a particular experimental  
20 therapy in the context of a standard of care, the  
21 accelerated approval pathway does take into context  
22 those available therapies, so differential

1 treatment effects may more easily be identified in  
2 that setting. And then, like Dr. Gormley had said  
3 previously, there really is a a very important  
4 assessment on overall survival as particularly a  
5 safety endpoint when we're looking at these earlier  
6 endpoints, and that randomized trial does allow us  
7 to have that assessment within the same trial.

8 DR. VASAN: I was also referring to it in  
9 terms of early and late toxicities as well, in  
10 addition to OS, which is obviously reflected in OS,  
11 but that that assessment obviously would be done as  
12 well for traditional approval.

13 DR. NOWAKOWSKI: Greg Nowakowski. I'd like  
14 to have a follow-up question for Dr. Anderson, and  
15 if we can pull the FDA slide 25?

16 Dr. Anderson, you made a case that MRD  
17 assessment can really help drug development;  
18 though, if you look at the magnitude of the benefit  
19 in reduction of the MRD negativity, it is quite  
20 significant to correlate to relatively modest  
21 differences in PFS in this modeling. So do you  
22 worry that the opposite effect can be seen; that

1 some of the trials which do not show the difference  
2 in MRD negativity early on can get terminated early  
3 or the interest in those drugs can actually drop?

4 DR. ANDERSON: No, I think it's a good  
5 point, and I do think we need to gain experience  
6 together as to the extent to which MRD negative CR  
7 does portend for the extent of progression-free  
8 survival advantage. I think we're open to that.  
9 We have some data on that. Dr. Bruno, perhaps, can  
10 talk about what MRD benefit we have seen and what  
11 it's been correlated with in terms of PFS to date,  
12 but I think we're open to understanding, in  
13 different settings, exactly what the increment and  
14 benefit will translate into.

15 Bruno, do you want to comment on your data?

16 DR. PAIVA: Yes, thank you. In terms of the  
17 magnitude of MRD negative rates in randomized  
18 clinical trials, we have seen, particularly in  
19 those that have led to drug approval in the past  
20 5 to 10 years, a difference ranging from 20 to  
21 almost 30 percent. And what we have seen also in  
22 our analysis is that those trials showing an odds

1 ratio for PFS of 0.6 or less showed MRD negative  
2 rates higher than 20 percent, meaning that if you  
3 clearly see a difference between the  
4 investigational versus the control arm exceeding  
5 10 or eventually 20 percent, this will most likely  
6 predict a benefit in PFS that will be greater than  
7 a 40 percent reduction in the risk of progression  
8 and/or death.

9 DR. NOWAKOWSKI: Thank you.

10 Dr. Frenkl?

11 DR. FRENKL: Thank you. Tara Frenkl. I'm  
12 the industry rep and work at Bayer Pharmaceuticals.  
13 I have a question for the clinical folks from the  
14 applicants' side, I believe, and if you could  
15 provide us with a little bit more context on what  
16 drives the timing of the MRD assessment. As we saw  
17 some variability in the scenarios that you  
18 progressed and how important clinically, I'd like  
19 to know is it important that there's flexibility in  
20 that timing, like between the 9- and the 12-month  
21 assessment.

22 DR. LANDGREN: This is Dr. Landgren. The

1 time point of 12 months, as mentioned before, for  
2 the timing of assessment of MRD plus/minus  
3 3 months, was agreed upon jointly between the FDA  
4 and our study team, and it was something that  
5 really came out of the fact that the data sets we  
6 have, that's the data we have. We have to work  
7 with what we can work with.

8           When we looked across all the data sets, the  
9 agreement was that that would be a clinically  
10 reasonable time point. We focused on the newly  
11 diagnosed patients. As you heard previously from  
12 other presenters here today, clinically, patients  
13 with newly diagnosed myeloma get combination  
14 therapy for a certain number of cycles with or  
15 without transplantation. That may change in the  
16 future, but one year is a reasonable time point in  
17 this disease to capture MRD with a bone marrow  
18 biopsy. So it came out of a clinical scenario and  
19 also from availability of data, and it was  
20 discussed extensively with the FDA, and we jointly  
21 agreed.

22           We also lastly should say that that was also



1 the data point where there was least missingness,  
2 so that's how we came. And maybe I should say last  
3 that we did look at 9 months, and when we redid all  
4 our analyses, we see very similar results, as you  
5 heard from the I2TEAMM.

6 DR. NOWAKOWSKI: Thank you.

7 We'll now break --

8 DR. KANAPURU: I'd just like to make a  
9 comment. I just want to add to that. As we showed  
10 in our FDA analysis, FDA also looked at the MRD  
11 negative CR at any time in the relapsed/refractory  
12 patient population because, generally, we want to  
13 be able to assess it's similar to ORR. And ORR is  
14 generally assessed as best overall response rate,  
15 and at least in the individual patient level, the  
16 associations were similar to what we have seen for  
17 the 9 month and 12 month. So we can assess MRD  
18 negative CR at any time, at least in the  
19 relapsed/refractory data that we had. Thanks.

20 DR. NOWAKOWSKI: Thank you.

21 We'll now break for lunch. We'll reconvene  
22 again in this room at 1:15 pm Eastern time. Please

1 take any personal belongings you may want with you  
2 at this time. Panel members, please remember that  
3 there should be no chatting or discussion during  
4 the lunch break. Additionally, you should plan to  
5 reconvene around 1:05 to ensure that we are seated  
6 before we reconvene at 1:15. Thank you.

7 (Whereupon, at 12:25 p.m., a lunch recess was  
8 taken, and meeting resumed at 1:15 p.m.)

9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A F T E R N O O N S E S S I O N

(1:15 p.m.)

**Open Public Hearing**

DR. NOWAKOWSKI: We will now begin the open public hearing session.

Both FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you to advise the committee of any financial relationship that you may have with the applicant. For example, this financial information may include the applicant's payment for your travel, lodging, or other expenses in connection with your participation in the meeting. Likewise, FDA encourages you to begin your statement and to advise the committee if you do not have such financial relationships. If you choose not to

1 address this issue of financial relationships at  
2 the beginning of your statement, it will not  
3 preclude you from speaking.

4 The FDA and this committee place great  
5 importance in the open public hearing process. The  
6 insights and comments provided can help the agency  
7 and this committee in their consideration of the  
8 issues before them.

9 That said, in many instances and for many  
10 topics, there will be a variety of opinions. One  
11 of our goals for today is for this open public  
12 hearing to be conducted in a fair and open way,  
13 where every participant is listened to carefully  
14 and treated with dignity, courtesy, and respect.  
15 Therefore, please speak only when recognized by the  
16 chairperson. Thank you for your cooperation.

17 Speaker number 1, please unmute and turn on  
18 your webcam. Will speaker number 1 begin and  
19 introduce yourself? Please state your name and  
20 organization you are representing for the record.  
21 You have five minutes for your presentation.

22 MS. AHLSTROM: My name is Jenny Ahlstrom.

1 I'm a multiple myeloma patient diagnosed in 2010,  
2 and I'm the founder and CEO of HealthTree  
3 Foundation, a patient advocacy organization  
4 supporting multiple myeloma. I have no financial  
5 interest in the outcome of this meeting.

6 Over the last 14 years, since my diagnosis,  
7 I've seen exceptional innovation in multiple  
8 myeloma. It's a disease that has attracted both  
9 the research community and investment into new  
10 therapies. What a major blessing it's been for the  
11 patient community to have the FDA approve a large  
12 number of new therapies and indications in this  
13 space, with last week's CAR T earlier approvals as  
14 our most recent example. I'm so grateful for FDA's  
15 work on these approvals because these new therapies  
16 and earlier use strategies are saving lives.

17 FDA has continued to contribute to the pace  
18 of innovation in myeloma with accelerated approvals  
19 that provide an earlier access path for new  
20 treatments. We have seen innovation affect the  
21 type of care that we receive as patients. I  
22 received tandem transplants back in 2010 because it

1 was my best shot at a curative treatment in the  
2 absence of powerful drugs that we have today. My  
3 initial approach would have been radically  
4 different had I been diagnosed today.

5 We've moved from these chemotherapies to a  
6 wide range of immunotherapies, including monoclonal  
7 antibodies, bispecific antibodies, CAR T therapies,  
8 and many others that are coming. This innovation  
9 has resulted in it being common for the majority of  
10 newly diagnosed patients to achieve 100 percent  
11 overall response rates.

12 As was discussed earlier in this meeting,  
13 overall response rates no longer have the power  
14 that it used to have. PFS and overall survival are  
15 traditionally used as clinical trial measures, but  
16 these measurements are becoming a bigger challenge  
17 the longer we live and the more therapies that we  
18 receive. For example, with overall survival, it's  
19 really challenging to determine which therapy  
20 impacted overall survival when patients have  
21 received multiple drug combinations, varied  
22 treatment sequencing, and have a wide variety of

1 genetics, especially in patients who have received  
2 3, 5, or even 10 prior lines of therapy. Overall  
3 survival as an endpoint is becoming more convoluted  
4 as a key clinical trial data endpoint, especially  
5 for relapsed/refractory trials.

6 Now, with the acceleration of drug  
7 approvals, many patients are living 10-15 years  
8 instead of 3 to 5 years, although we still know  
9 that 40 percent of patients are still dying under  
10 5 years. There is still no known cure, so the  
11 innovation needs to continue and we still have an  
12 urgent need.

13 The blessings of these new therapies have  
14 created a significant challenge in drug  
15 development. The time it takes to determine  
16 results without a new endpoint is too long.  
17 Ten-plus years to have the data readout for a  
18 single trial puts patients' lives at risk of dying  
19 before the results can be gathered, and that's just  
20 for a single trial, so we need new approaches, we  
21 need to continue innovating, and our need is still  
22 urgent.

1           Now, it's agreed by all attending this  
2 meeting that the use of newer MRD technology can  
3 better inform responses and that it correlates with  
4 PFS, the traditional measure. MRD testing is  
5 helpful for me as a patient in many ways. It  
6 provides me with the depth of response measurement  
7 to my initial therapy. It can help me detect early  
8 relapse. But it's most important use for me  
9 personally is that it can speed myeloma research to  
10 bring more drugs to market at a faster pace.

11           If the new average life expectancy is now  
12 10 to 15 years, I'm coming to the end of that  
13 average being 14 years out. I've already taken  
14 advantage of CAR T, which is some of the latest and  
15 greatest therapy. I won't have another 10 years to  
16 wait for a single clinical trial to read out. I am  
17 playing beat the clock to access new therapies  
18 faster than my disease can relapse. So as a  
19 patient and a patient advocate, I ask the FDA to  
20 continue its remarkable gift of innovation in  
21 myeloma by approving the use of MRD as a new  
22 clinical trial endpoint at 10 to the minus 5, both



1 for newly diagnosed and relapsed/refractory  
2 myeloma. Thank you.

3 DR. NOWAKOWSKI: Thank you.

4 Speaker number 2, please unmute and turn on  
5 your webcam. Will speaker number 2 begin and  
6 introduce yourself? Please state your name and  
7 your organization you may be representing for the  
8 record. You have five minutes for your  
9 presentation.

10 MS. DeROME: Good afternoon. My name is  
11 Mary DeRome, and I'm the Senior Director of Medical  
12 Communications and Education for the Multiple  
13 Myeloma Research Foundation, or MMRF, and I have no  
14 financial relationships to disclose.

15 The MMRF is a national 501(c)(3) nonprofit  
16 organization, and our mission is to accelerate a  
17 cure for each and every myeloma patient. We are  
18 the number one private funder of myeloma research  
19 in the world and have raised over \$600 million in  
20 support of this mission over the last 25 years. We  
21 are also the first and only nonprofit myeloma  
22 organization to foster and support yearly

1 scientific workshops on MRD in myeloma in  
2 collaboration with Dr. Ola Landgren, and always  
3 including the FDA, starting back in 2014. We thank  
4 the FDA for their partnership with the myeloma  
5 community. Their support has been instrumental in  
6 the treatment advances and patient benefits we have  
7 experienced over the past 20 plus years.

8           The MMRF supports all efforts to speed the  
9 availability of safe and effective new treatments  
10 to multiple myeloma patients. Despite recent  
11 improvements in the median overall survival of  
12 myeloma patients, which stem from the rigorous  
13 development and approval of new drugs and  
14 modalities, multiple myeloma remains an incurable  
15 cancer.

16           The application of minimal residual disease  
17 testing as a validated surrogate endpoint for  
18 progression-free and overall survival is one  
19 promising mechanism to facilitate the development  
20 and FDA approval of new therapies. It can help us  
21 answer questions faster, particularly in the newly  
22 diagnosed multiple myeloma setting, where due to

1 recent treatment advances, clinical studies can be  
2 lengthy and expensive to read out, potentially  
3 delaying availability of better treatments to the  
4 larger myeloma community.

5           There are several important considerations  
6 regarding the potential use of an MRD surrogate  
7 endpoint in multiple myeloma clinical trials,  
8 including that clear association of the surrogate  
9 endpoint with meaningful clinical endpoints such as  
10 PFS and OS is mandatory to ensuring efficient drug  
11 development for multiple myeloma patients.

12           We align with the FDA around use of the best  
13 correlation of MRD data to meaningful clinical  
14 endpoints. For example, sustained MRD negativity  
15 measured at prespecified time points of 9 or  
16 12 months appears to correlate more closely with  
17 PFS compared to MRD measured at one time point.  
18 The applicability of a surrogate endpoint may be  
19 substantially different depending on the type of  
20 treatment such as targeted versus immune therapy  
21 and in combination or sequence therapy.  
22 Understanding these nuances is an unanswered

1 question, as the trials analyzed in these studies  
2 did not include the latest therapies and this  
3 should be further examined.

4 We are willing to work closely with FDA and  
5 the multiple myeloma community on the  
6 identification and validation of novel endpoints  
7 moving forward, and we emphasize the importance of  
8 mandatory completion of confirmatory clinical  
9 trials should MRD be a primary endpoint in a  
10 single-arm trial for an accelerated approval, as  
11 well as the continued inclusion of PFS and OS as  
12 endpoints in trials where MRD may be the primary  
13 endpoint.

14 And finally, it is imperative that the field  
15 commits to using the most accurate type of MRD  
16 measurement technology that is dependable and  
17 sensitive in order to ensure reliable and  
18 reproducible results regardless of the trial. In  
19 conclusion, on behalf of our patients, we would  
20 like to thank the FDA for their thoughtful and  
21 careful assessment of this important question.  
22 Thank you.

1 DR. NOWAKOWSKI: Thank you.

2 Speaker number 3, please unmute and turn on  
3 your webcam. Will speaker number 3 begin and  
4 introduce yourself? Please state your name and  
5 organization you're representing for the record.  
6 You have five minutes for your presentation.

7 DR. USMANI: Thank you so much to the ODAC  
8 chair and panel. My name is Saad Usmani. I'm the  
9 Chief of the Myeloma Service at Memorial Sloan  
10 Kettering Cancer Center. I'm also the chair of the  
11 NCTN Alliance Myeloma Committee, one of the three  
12 U.S. cooperative group mechanisms that conduct  
13 large randomized phase 3 studies in the United  
14 States. I'm speaking on my own behalf as a  
15 physician taking care of myeloma patients for over  
16 17 years. I have in the distance past received  
17 research and consulting funding from Adaptive  
18 Technologies, but I'm not being compensated for  
19 speaking in this venue.

20 I would like to laud both my myeloma  
21 colleagues, as well as the FDA colleagues, for  
22 bringing attention to a very important topic

1 relevant to conducting clinical trials in the  
2 current scenario in the field, as well as patient  
3 advocates for providing their views and context. I  
4 would like to talk a little bit about the  
5 practicality of MRD testing in our clinical trials.

6 One of the key studies within the U.S.  
7 cooperative group mechanism that led to the  
8 acceptance of combination induction therapies in  
9 myeloma was the SWOG 777 trial that led to the  
10 3-drug combination coming together as a standard of  
11 care. It took us over 10 years to get to the  
12 primary endpoint of progression-free survival, and  
13 that study actually was led by Dr. Brian Durie  
14 within the SWOG mechanism; and by that time, the  
15 practice had changed, and we were already asking  
16 other important questions in the field and trying  
17 to get accelerated approvals for this next wave of  
18 immunotherapy. So a trial that started in 2007 did  
19 not result in readout until 2017, and the field had  
20 moved on.

21 Fast forward to another important trial, the  
22 SWOG 1803, which is asking a maintenance question

1 with over 1200 patients to be enrolled, and that  
2 study is a US-wide study being conducted across  
3 centers that include community centers, and MRD  
4 testing is being done across the board in this  
5 trial without any impediment. So I want to  
6 highlight that we are in an era where MRD testing  
7 can be conducted across the U.S. cooperative group  
8 mechanism as an endpoint to clinical trials.

9 Why is this important? This actually lends  
10 to the discussion we are having. We cannot wait  
11 for PFS or OS endpoints with the substantial  
12 survival benefits we've seen with therapies in  
13 recent years, and moving to MRD negativity as a  
14 clinical trial regulatory endpoint is very  
15 important for us.

16 You've already heard from patient advocates.  
17 I want to also highlight that our high-risk and  
18 functional high-risk patients are in still dire  
19 need of novel mechanisms and clinical trials, and  
20 need those answers faster so we can get access to  
21 those therapies for patients. So again, I truly  
22 appreciate the conversations and would lend my

1 support in having a favorable outcome in favor of  
2 using MRD as a regulatory endpoint in clinical  
3 trials. Thank you so much.

4 DR. NOWAKOWSKI: Thank you.

5 Speaker number 4, please unmute and turn on  
6 your webcam. Will speaker number 4 begin and  
7 introduce yourself? Please state your name and  
8 your organization you're representing for the  
9 record. You have five minutes for your  
10 presentation.

11 DR. SIDANA: Good afternoon, and thank you  
12 for the ODAC committee to give me this opportunity  
13 to speak to you all. I'm Surbhi Sidana. I'm a  
14 myeloma physician and researcher at Stanford  
15 University, and I really enjoyed hearing the  
16 viewpoints and presentations this morning, and it's  
17 great to see that we have very high overall  
18 response rates with our current therapies.

19 As has been discussed, overall response rate  
20 is the current endpoint we use for accelerated  
21 approval in multiple myeloma, and so far it has  
22 served us well, but now with the new therapies that



1 we have, with overall response rates of 80 to  
2 90 percent in the newly diagnosed setting and very  
3 high response rate of 60 to 90 percent in the  
4 relapsed setting, we need an endpoint that can  
5 distinguish better. Because how do you practically  
6 design a trial where your control arm is 80 to  
7 90 percent -- or your historical control is 80 to  
8 90 percent?

9 Why is it important to still have newer  
10 therapies? As speakers before me have said, we're  
11 still not curing most patients with myeloma. Most  
12 patients still relapse, and it's the patients who  
13 have high-risk and functional high-risk disease  
14 that have a severe unmet need of getting these  
15 therapies. And we need to move these therapies  
16 from late line to earlier line as well, if they're  
17 safe and effective, because there is patient  
18 attrition at every level.

19 So there's still a lot of work that needs to  
20 be done to bring more newer effective therapies to  
21 the clinic for our patients, but we cannot wait for  
22 regular approval. As Dr. Usmani just illustrated,

1       it took 10-plus years for the SWOG VRd versus Rd  
2       trial to read out. We cannot wait 10 years for our  
3       patients, so we need the accelerated approval  
4       mechanism and an endpoint that reflects what we are  
5       doing currently in clinic. And we do know that  
6       it's not just achieving a response, it's achieving  
7       a deep response that really matters now that we  
8       have therapies that can lead to a deep response,  
9       and MRD negativity is the best tool that we have  
10       currently in 2024 to assess these deep responses.

11               We have more than one method to assess MRD  
12       negativity, but these have been validated  
13       analytically. We not only use them in clinical  
14       trials, as Dr. Usmani mentioned and that's  
15       routinely used, we also routinely use them in  
16       clinic all the time, and it is fairly  
17       straightforward to use them no matter which method  
18       you prefer, NGS or next-generation flow cytometry.  
19       These are reproducible and, as I said, widely  
20       available.

21               Today, we saw data from this tremendous  
22       effort by two teams, and I have to comment -- my

1 colleagues who have been working for years on  
2 this -- that MRD negativity at 10 to the power  
3 minus 5 has individual-level surrogacy to predict  
4 progression-free survival, which is the bar that  
5 the FDA has set for an accelerated approval  
6 endpoint. I do think there are a couple of issues  
7 that have been brought up that we will work on in  
8 the future. As Mr. Mitchell brought up, what about  
9 deeper endpoints, 10 to the power minus 6?  
10 Hopefully, we can get there in several years with  
11 the new and effective therapies we bring to clinic.  
12 What about sustained MRD negativity? And hopefully  
13 we'll have more data in the future.

14 But as of today, in April 2024, we have  
15 ample evidence that MRD negativity, regardless of  
16 how we measure it, NGS or flow cytometry, has  
17 individual-level surrogacy for progression-free  
18 survival, which is the bar that has been set by the  
19 FDA, and we know that it's more clinically  
20 meaningful than overall response rate, which is the  
21 current endpoint for accelerated approval. And  
22 therefore, I support wholeheartedly using MRD

1       negativity at 10 to the power of 5 for accelerated  
2       approval in multiple myeloma. Thank you for giving  
3       me this opportunity.

4               DR. NOWAKOWSKI: Thank you.

5               Speaker number 5, please unmute and turn on  
6       your webcam. Will speaker number 5 begin and  
7       introduce yourself? Please state your name and any  
8       organization you're representing for the record.  
9       You have five minutes for your presentation.

10              (No audible response.)

11              DR. NOWAKOWSKI: I think you're on mute,  
12       speaker number 5.

13              DR. RAJE: Sorry.

14              Thank you for this opportunity to present at  
15       this ODAC meeting. I truly appreciate the comments  
16       of some of my colleagues. My name is Noopur Raje.  
17       I'm a physician and a professor of medicine at  
18       Harvard Medical School, and I'm also the Director  
19       for the Center for Multiple Myeloma at Mass General  
20       in Boston. I'm also the NCI Chair Emeritus for the  
21       Myeloma Steering Committee, where we had the  
22       opportunity of reviewing and approving clinical

1 trial concepts through all of our cooperative  
2 groups. I'm providing my thoughts on the topic of  
3 minimal residual disease from the standpoint of a  
4 clinician and a clinical trialist who's been taking  
5 care of multiple myeloma patients now for more than  
6 25 years. I have not been compensated by anyone  
7 for this presentation.

8 As you've heard so nicely this morning,  
9 we've made tremendous progress in the treatment of  
10 multiple myeloma, where close to 100 percent of our  
11 patients respond to current therapies. Moreover,  
12 these responses translate into disease control and  
13 progression-free survivals, which well exceed what  
14 we have been used to seeing. With this advance,  
15 our conventional response criteria are no longer  
16 able to ascertain depth of response.

17 Simply put, we need better tools to assess  
18 response in our patients, and minimal residual  
19 disease testing provides us with that very valuable  
20 tool. One can think of new MRD negative state as  
21 the new complete response in the context of all of  
22 our very effective treatments. In fact, MRD has

1       been able to discriminate between standard of care  
2       therapies and the quadruplets, where the old  
3       criteria of complete response has not been that  
4       useful.

5               MRD testing can quite easily be performed by  
6       either next-generation sequencing, as you've heard  
7       so nicely, as well as by flow cytometry in almost  
8       all patients. This is not only true for newly  
9       diagnosed multiple myeloma patients receiving  
10      triplets and quadruplets, which is the new  
11      standard, but also in the relapsed setting where we  
12      are using normal immunotherapeutic approaches such  
13      as bispecific antibodies, as well as CAR T cells,  
14      wherein we are seeing MRD negativity to the tune of  
15      40 to 55 percent in this patient population. Most  
16      importantly, MRD negativity correlates with  
17      progression-free, as well as with overall survival.

18             Given that we've made a significant impact  
19      on both progression-free survival, as well as  
20      overall survival with our current therapies, the  
21      use of a sensitive tool such as MRD testing is  
22      critical to demonstrate efficacy of therapies and

1 provide early access to life-saving therapies for  
2 our patients. It in my mind is a true unmet need  
3 and truly facilitates drug development for our  
4 patients with myeloma.

5 Using CR and PFS is not adequate, nor is it  
6 practical anymore, specifically when the median PFS  
7 is expected to be close to 6 to 7 years from  
8 initial therapy. Using an early validated  
9 surrogate such as MRD will not only be practical,  
10 but also cost effective, and will facilitate drug  
11 development. For these reasons, we are already  
12 incorporating the use of NGS, or next-generation  
13 flow sequencing, for MRD testing in all of our  
14 ongoing clinical trials. We are also using MRD in  
15 ongoing clinical trials to tailor therapy in  
16 myeloma. Using MRD as a benchmark following  
17 initial therapy is already something we've  
18 incorporated in clinical trial practice, but more  
19 so in our real-world clinical practice as well.

20 Given all of the advances in the field of  
21 myeloma, I believe that the time is right to  
22 incorporate MRD testing and response assessment in

1 myeloma, and use it for accelerated approval of  
2 very effective therapies, and make them available  
3 to our patients in a timely fashion, and I do hope  
4 this committee will consider all of these factors.  
5 Thank you so much for this opportunity.

6 DR. NOWAKOWSKI: Thank you.

7 Speaker number 6, please unmute and turn on  
8 your webcam. Will speaker number 6 begin and  
9 introduce yourself? Please state your name and  
10 organization you are representing for the record.  
11 You have five minutes for your presentation.

12 DR. PRASAD: Can my slides be made  
13 available? Thank you.

14 I'm Vinay Prasad. I'm a practicing hemat  
15 doctor. I see myeloma every week at San Francisco  
16 General Hospital, and I'm professor here at UCSF,  
17 and I'm going to give you a different point of view  
18 on this decision for MRD for accelerated approval.  
19 The goal of drug approval by the U.S. FDA is to  
20 grant marketing authorization for patients with  
21 newly diagnosed multiple myeloma that result in  
22 living a longer life or a better life. We can't



1 forget longer or better.

2 MRD as an endpoint for accelerated approval  
3 is an error for five reasons. Number one, as the  
4 speakers have all said, the survival is terrific  
5 with newly diagnosed myeloma. It is not an unmet  
6 medical need. The 4-year overall survival in the  
7 PERSEUS study is 90 percent for dara-VRd. Keep in  
8 mind these are people who are in their 60s, late  
9 60s, at the time of enrollment. The median  
10 survival was 10 years prior to this study. For a  
11 patient enrolling tomorrow in a clinical trial, I  
12 think it will be 15 years median survival.

13 In order to have an unmet medical need, you  
14 need no or limited treatment options. There are  
15 17 treatment options endorsed by the National  
16 Comprehensive Cancer Network guidelines, 14  
17 different FDA approved drugs, and 20 drugs are  
18 approved by the FDA in any line. There are many  
19 treatment options. Type 2 diabetes with  
20 cardiovascular risk factors would constitute an  
21 unmet medical need by this definition. Many  
22 disease states in biomedicine with a 90 percent

1 4-year survival for people in their late 60s would  
2 be an unmet medical need. We'll have accelerated  
3 approval for every disease if you allow this.

4 MRD as the basis for accelerated approval,  
5 the biggest problem is that unsafe drugs will come  
6 to the U.S. market. MRD testing may be assessed  
7 1 to 3 years sooner than PFS. The other speakers  
8 think it'll come even faster, 5 years, 6 years,  
9 7 years. Novel drugs will be eligible for  
10 accelerated approval less than 12 months after the  
11 trial begins. What fast approval means is these  
12 drugs, yes, they'll be active, they'll be very  
13 active, but they'll be very toxic as well, and you  
14 won't know the full toxicity profile.

15 CAR T-induced Parkinsonism was first noted  
16 in 2021. The first CAR T for myeloma was given in  
17 2014. It took seven years. MRD as an accelerated  
18 approval endpoint will rush active but perhaps very  
19 toxic regimens to the frontline. When it comes to  
20 teclistamab and bispecific antibodies, 14 percent  
21 of people experienced grade 3 to 4 infections only  
22 18 to 24 months after the initial dose. This is

1 very important for disease where the median  
2 survival is 15 years. You don't want 15 years of  
3 long-term neuropathy or toxicity for people  
4 enrolling in trials today.

5 The third point, we keep talking about MRD  
6 has some weak correlation with PFS in one of the  
7 three analyses in the non-transplant-eligible  
8 population, not in the other two, but  
9 progression-free survival itself does not predict  
10 living longer in myeloma, and MRD does not predict  
11 living longer either. PFS has a notably poor  
12 correlation with overall survival. This is work  
13 that I did with Mohyuddin and colleagues, showing  
14 the R-squared, the percent of variability captured  
15 by PFS, is less than 40 percent. Most of the  
16 variability is unexplained.

17 Surrogacy must only be assessed at the trial  
18 level and not the individual level. The question  
19 is not, do people who achieve MRD negativity do  
20 better? Of course, they do. They do better. But  
21 the question is, do regimens that increase the rate  
22 of MRD in an arm later improve overall survival in

1 that arm? And the answer is the trial-level  
2 correlations are poor. Most of the variability is  
3 not captured. That one positive PFS, it's 7 data  
4 points you're hanging your hat on. I mean, if you  
5 regress 2 data points, you're going to get a  
6 straight line. I mean, you need more data than  
7 7 data points, okay? It's all weak across the  
8 board, these correlations, with both PFS and OS.

9 Myeloma trials have a huge problem that no  
10 one's discussing, which is the post-progression  
11 treatment in global registrations is far beneath  
12 the U.S. standard and unacceptable, and this gives  
13 you a big problem. In MAIA, which is dara-Rd  
14 versus Rd, which was a registration study accepted  
15 by the FDA, only 51 percent of patients in the  
16 control arm -- sorry, 50 percent of the patients in  
17 the control arm died without ever getting  
18 daratumumab, even though that was a U.S. standard  
19 of care. This problem plagues the triplet versus  
20 doublet registration studies and the quadruplet  
21 registration studies. Post-protocol reporting is  
22 poor.

1           In this paper, we've documented the  
2 post-protocol therapy in many, many myeloma  
3 studies. You see not reported as the most common  
4 thing, and when it is reported, it's not up to the  
5 U.S. standard. Here's why it's a problem. Here's  
6 why. This means that even if the FDA watches  
7 trials to exclude a deterioration in overall  
8 survival to prove that the drugs are safe, that's  
9 only in the context of poor post-protocol therapy.  
10 Drugs could come to the U.S. market that result in  
11 worse overall survival in the U.S. market, but  
12 that's hidden because the global care is beneath  
13 average. FDA must have better control arms, better  
14 post-progression therapy in their myeloma studies.

15           The biggest problem with MRD for accelerated  
16 approval is that you're taking people who are doing  
17 pretty well, great overall survival, a decade or  
18 more, and you're giving them drugs with very  
19 inadequate safety profiles. A little Parkinsonism,  
20 a little neurological damage and pain, and  
21 neuropathy will be catastrophic for someone living  
22 15 years. This population needs to be shielded

1 from risk precisely because the outcomes are good.

2 This is why it shouldn't be eligible for  
3 accelerated approval. PFS buys you, in my  
4 estimation, 2 to 3 more years to collect vital  
5 safety information. The other speakers think it  
6 buys you even more years; that might be a little  
7 bit better. I think PFS is already permissive  
8 enough. I would not change the status quo. Okay.  
9 That's my closing thoughts. Thank you for the  
10 opportunity to speak. Sorry I had to go fast,  
11 appreciate your thoughts.

12 DR. NOWAKOWSKI: Thank you.

13 Speaker number 7, please unmute and turn on  
14 your webcam. Will speaker number 7 begin and  
15 introduce yourself? Please state your name and  
16 organization you are representing for the record.  
17 You have five minutes for your presentation.

18 MS. HUGUELET: Good afternoon. My name is  
19 Linda Huguelet, and I'm a multiple myeloma patient  
20 from Chattanooga, Tennessee. I have no financial  
21 relationships to disclose, and I'm speaking on my  
22 own behalf today. I thank you for allowing me to

1 speak to you today about the use of minimal  
2 residual disease as an endpoint in multiple myeloma  
3 clinical trials that intend to use MRD to support  
4 accelerated approval for new products and new  
5 indications.

6 I was diagnosed with multiple myeloma in  
7 April of 2010, almost exactly 14 years ago today.  
8 I was 46 years old at the time and had never heard  
9 of multiple myeloma. On my 14th wedding  
10 anniversary, April 27, 2010, I received my first  
11 round of treatment, including Revlimid, Velcade,  
12 and dexamethasone. I was also receiving  
13 bisphosphonate treatments to help stabilize the  
14 bone damage done to my spine by multiple myeloma.

15 My world was turned upside down, and I  
16 really had no clear thought on how long I would  
17 survive this incurable disease and what my quality  
18 of life would be. I had never had more than a  
19 sinus infection prior to being diagnosed with  
20 multiple myeloma, but quickly realized that I  
21 needed to be an advocate for myself, learn more  
22 about this disease, and more about the treatment

1 options that are available, and this all started  
2 for me by attending my local myeloma support group  
3 in May of 2010.

4           Only 10 months later, my husband and I began  
5 leading the group and have been doing so for the  
6 last 13 years, and during this time, I've met many,  
7 many patients in my community, and I work to  
8 educate them on the treatment options available,  
9 and also inspiring them with the hope that more  
10 options are on the horizon. Having treatment  
11 options is always key with this disease because  
12 relapse is almost inevitable for every patient.

13           Shortly after my diagnosis, one of my  
14 hematologists described the myeloma journey as a  
15 frog in a pond, leaping from lily pad to lily pad  
16 as other new treatments are needed. He said the  
17 goal is to maximize each treatment and to buy you  
18 time for more treatments, or lily pads, to become  
19 available. This analogy has remained with me for  
20 the last 14 years, as I've undergone 5 lines of  
21 treatment.

22           Leading the Chattanooga Multiple Myeloma



1       Networking Group opened my world to a host of  
2       resources and introduced me to myeloma support  
3       group leaders around the country, many of whom I've  
4       become close friends with and have learned so much  
5       about this disease from. This also opened the door  
6       for me to attend the American Society of Hematology  
7       Annual Meeting for the last 11 years. During these  
8       11 years, I've heard hundreds of abstracts on  
9       multiple myeloma and seeing how MRD testing has  
10      worked its way into clinical trials. Not only have  
11      I learned about how researchers are approaching the  
12      treatment of this disease, but I've witnessed their  
13      passion for bringing more lily pads to the pond and  
14      ultimately finding a cure for multiple myeloma.

15                In early 2013, I was relapsing again and  
16      experiencing life-limiting back pain. In April of  
17      last year, I was overwhelmed with joy when I was  
18      able to secure a Carvykti CAR T cell slot at  
19      Emory's Winship Cancer Center in Atlanta, Georgia.  
20      On my 28th wedding anniversary, we harvested my  
21      T cells and my treatment was completed by late  
22      June. I'm now looking forward to my one-year

1 evaluation and further assessment with MRD testing.  
2 I know that an MRD negative indication has shown to  
3 correlate with great, longer progression-free  
4 survival, so I'm anxious for these results and  
5 looking forward to many more anniversaries with my  
6 husband.

7 I'm optimistic but also realistic that at  
8 this point in my journey, I have used up many of  
9 the lily pads in the pond, so having additional  
10 treatment options is very personal to me and to all  
11 myeloma patients. Although I'm not a doctor, I am  
12 a well-educated patient, advocating for myself and  
13 other patients today, and I urge you to support the  
14 use of MRD testing in an effort to accelerate  
15 approval for new treatment options. Thank you for  
16 allowing me to share the patient perspective with  
17 you today.

18 DR. NOWAKOWSKI: Thank you.

19 Speaker number 8, please unmute and turn on  
20 your webcam. Will speaker number 8 begin and  
21 introduce yourself? Please state your name and  
22 organization you are representing for the record.

1 You have five minutes for your presentation.

2 MR. MORELLI: Thank you. I am Frank  
3 Morelli, a multiple myeloma patient diagnosed in  
4 November of 2012, and I have no financial  
5 relationship or interest in the outcome of today's  
6 event. I would like to thank ODAC and the FDA for  
7 the opportunity to speak before the committee  
8 today, not only on behalf of myself, but the entire  
9 myeloma community as well. Our community is  
10 comprised of patients, family members, friends,  
11 medical teams, and of course our care partners that  
12 have been thrust into a life-altering situation  
13 that one was never really fully prepared for.

14 As a multiple myeloma patient, like many  
15 patients, I was blindsided and devastated with a  
16 cancer diagnosis, and one that I had very little  
17 knowledge of as well. I learned quickly to adapt  
18 to my new way of life, started to learn a new  
19 language, recognized what was important in life and  
20 how to manage the role of myeloma, and that I was  
21 now a newly enlisted lifetime member. I've been a  
22 multiple myeloma support group leader as well for

1 the past seven years. During this time, I've  
2 valued and learned more about patients' and  
3 families' and members' concerns, their anxiety, and  
4 what the future may or may not be. I am firmly  
5 embedded in the myeloma community.

6 What I did realize in the early stages of my  
7 diagnosis was how rapidly my disease can change and  
8 how I could go from being in remission one day,  
9 with the next set of labs reflecting I have  
10 relapsed and refractory to the most recent  
11 combination of therapies I've been on. This was  
12 completely disheartening and frightening as a  
13 patient. Refractory. What does that mean to me?  
14 Are there sufficient number of treatments for me  
15 now and in the future, in the years ahead? Do I  
16 have a future? What if I run out of therapy  
17 options? Are newer therapy options keeping pace  
18 and being approved to sustain patients' hopes and  
19 aspirations?

20 Almost recently, I was involved in the  
21 Pfizer MAGNETISMM-2 clinical trial in December of  
22 2021, involving elranatamab, a bispecific. MRD

1 testing was done at the 6-month mark, post-initial  
2 treatment, and one year post-start of my trial as  
3 well. I did reach MRD both times, and subsequent  
4 testing has concluded, and I remain MRD as of  
5 February of 2024. As a patient reaching MRD, that  
6 is the gold standard of being in remission. This  
7 was an affirmation that my medical team and I made  
8 the right choice at that time, predicated on my  
9 myeloma history and overall medical profile.

10 Reaching MRD was initially a relief and a  
11 feeling of gratification that the trial therapy was  
12 effective. I never thought of it as any type of  
13 false hope, as over time, now over 11 years since  
14 my diagnosis, there are certain intervening  
15 realities one may have to confront during our  
16 journey. The potential of relapse is real, and one  
17 must recognize and be prepared should that occur.

18 With my MRD situation, I take a pragmatic  
19 approach that I should take advantage of my current  
20 health status and just enjoy life the best I can.  
21 I have reached MRD several times prior to this  
22 trial as well, which really solidifies hope for the

1 future. After numerous lines of treatment prior to  
2 this trial and still being capable of MRD, that  
3 does provide for quite a bit of optimism. For one  
4 reason, we continue to hear that after each  
5 remission, there is a high probability your next  
6 remission will have a much shorter duration. After  
7 over 11 years of various treatments and clinical  
8 trials, now reaching MRD once again speaks to the  
9 advances that are being made and newer and  
10 developing therapies that are in the pipeline.

11 For me personally, if MRD can be used to  
12 accelerate those therapies in clinical trials that  
13 would allow for broader options in the future and  
14 extend survival rates, it must be strongly  
15 considered. In addition, with the use of various  
16 combination therapies today, such as triplets and  
17 quadruplets, they have added another positive  
18 dimension to the treatments by successfully  
19 improving outcomes of the quality of life for many  
20 myeloma patients, and this is important. But  
21 ultimately, can these therapies and patients  
22 becoming refractory to these combinations result in

1 limited future therapy choices as well?

2 As myeloma therapies are enhanced and  
3 combination therapies are becoming more routine,  
4 MRD testing in trials can guide research to  
5 accelerate the pace to safely offset this concern  
6 of limited future life-saving choices, and  
7 specifically for patients that may be refractory to  
8 many, many drug combinations. And finally,  
9 reaching MRD is always a wonderful outcome and  
10 should be tempered with certain realities, as I've  
11 said. For one, it's very difficult to determine  
12 how long that status will remain; but also, if you  
13 got there once, you could get there again with the  
14 encouraging advances that are currently being made  
15 in research.

16 I may not always reach MRD; however, if I  
17 know my entire multiple myeloma medical research  
18 community is using MRD, supported by the FDA  
19 decisions as one of its baseline measurements to  
20 accelerate delivery of treatments to the myeloma  
21 community, that in and of itself offers hope,  
22 optimism, and a positive outlook for all of us.

1 Thank you very much.

2 **Questions to the Committee and Discussion**

3 DR. NOWAKOWSKI: Thank you.

4 The open public hearing portion of this  
5 meeting has now concluded, and we'll no longer take  
6 comments from the audience. The committee will now  
7 turn its attention to address the task at hand, the  
8 careful consideration of the data before the  
9 committee, as well as public comments.

10 We'll now proceed with the questions to the  
11 committee and panel discussions. I would like to  
12 remind public observers that while this meeting is  
13 open for public observation, public attendees may  
14 not participate, except at the specific request of  
15 the panel. After I read each question, we'll pause  
16 for any questions or comments concerning the  
17 wording.

18 This is discussion question number 1.  
19 Discuss the adequacy of the available data to  
20 support the use of minimal residual disease, MRD,  
21 as an accelerated approval endpoint in multiple  
22 myeloma.



1           Are there any concerns or comments about  
2 this question, about the wording itself?

3           (No response.)

4           DR. NOWAKOWSKI: If there are no further  
5 questions or comments concerning the wording of the  
6 question, we will now open the question for  
7 discussion.

8           Dr. Lieu?

9           DR. LIEU: This is Chris Lieu from  
10 University of Colorado. It'll be interesting to  
11 see how much of our comments are similar, but as  
12 somebody who does not treat multiple myeloma, I  
13 have to tell you, for the applicants, I think you  
14 should be commended on what I think is an  
15 aspirational data collection, data analysis, and  
16 collaboration, and I'm very, very impressed, and  
17 jealous, as a solid-tumor oncologist.

18           But what I would say is that when you look  
19 at the data, especially the patient-level data, I  
20 think that's clear that it meets the criteria for  
21 accelerated approval. I think that this is one of  
22 the most prognostic tests that we've seen in the

1 disease. I think we'd all like to see the  
2 trial-level data show more correlation, but that's  
3 not the bar that's set for accelerated approval.

4 It will be interesting to see, as we gather  
5 another decade -- you guys have done a decade of  
6 research and data collection -- in the coming  
7 years, there may be that level of data to correlate  
8 this endpoint with overall survival, which is  
9 obviously what we would like to see, but as it  
10 stands right now, I do believe that it meets the  
11 criteria for accelerated approval. I would like to  
12 see this endpoint correlated with quality of life,  
13 as well as time on treatment, which I think  
14 addresses some of this toxicity issue. Does that  
15 mean that if somebody's MRD negative and CR, that  
16 they feel better, that they are not having undue  
17 toxicities from drugs? That's just an additional  
18 area of investigation that I'd like to see. Thank  
19 you.

20 DR. MARTIN: Yes. Tom Martin from UCSF. I  
21 think I'm the myeloma person on the committee, so  
22 maybe I'll just put it in perspective just a little

1 bit so that people realize also. Presentations  
2 were excellent, but let me just give another layer  
3 of stuff. When patients present with a protein in  
4 their blood in the urine, it has to go down by more  
5 than 90 percent in the blood in the urine for us to  
6 get a VGPR. That's when we're thinking about doing  
7 MRD testing. In fact, CR is when the proteins are  
8 gone.

9 If you do an MRD test in some patients that  
10 are in CR, by the clonaSEQ assay, you might get a  
11 thousand to 10,000 cells in the bone marrow, but  
12 MRD negative is 1, 10 to the minus 6 or less than  
13 10. So it's really a significant bar. It's really  
14 way down in terms of the biologic significance. We  
15 heard about the biologic significance. There  
16 certainly is the biologic correlation that if you  
17 get that low with your MRD, you're probably going  
18 to have a longer -- your responders do better;  
19 that's kind of the thing.

20 So your question is what is the quality of  
21 life? Well, myeloma, we treat forever, until  
22 progression, so sometimes the quality of life, they

1       feel better because they know their numbers are  
2       really low, but the truth of the matter is, it  
3       would be nice if we can get them off therapy. So I  
4       think in this context, for us, we have to think  
5       about MRD in the later-line settings, the  
6       relapsed/refractory; in the accelerated approval  
7       space, is it going to meet surrogacy so that we can  
8       actually have accelerated approval in the late-line  
9       setting, or in the early relapse, like the example  
10      we got from the FDA, the 1 to 3 prior lines of  
11      therapy? And if so, if it's approved in that line  
12      of therapy, we probably are, like Dr. Anderson  
13      said, two to three years ahead the approval of what  
14      we would expect if we let the trial go through.

15                But in the frontline setting, it's a whole  
16      different thing, in my mind, so we do have to keep  
17      two things in consideration. One is, the drugs  
18      that are used for frontline therapy right now, the  
19      4-drug combination, each individual drug had a  
20      response rate in the order of 20 to 30 percent, and  
21      when you put them all together, it's over  
22      100 percent. Well, these new immunotherapeutics

1 have single-agent response rates that's  
2 60-70 percent, so when we throw those in the mix,  
3 it's probably really going to, I think, enhance the  
4 number of people achieving that MRD negativity.  
5 But as we've heard from some of the comments, we do  
6 have to worry about toxicity and how does that  
7 change the toxicity envelope.

8 But in the frontline setting, what,  
9 basically, Dr. Landgren presented, that's our best  
10 chance to do our best therapy for people, thinking  
11 that they're going to be in remission for the  
12 longest. If we actually use it as an accelerated  
13 marker in frontline, it actually could be  
14 5 to 7 years earlier than the PFS readout. So we  
15 have to think of it in those contexts, too, I  
16 think, frontline, early relapse, late-line relapse,  
17 and that this marker has really a lot of biologic  
18 data behind it. Also, as we've seen -- again, it's  
19 nice to see everybody put the data together; that  
20 individual-level association is pretty strong  
21 throughout. I was a little surprised that we  
22 didn't get a little more trial-level surrogacy, but

1 it is what it is. The data is what the data is,  
2 and we need to do more data. We need to have more  
3 MRD data.

4 DR. NOWAKOWSKI: Thank you.

5 DR. NIEVA: So I really do want to commend  
6 the applicant and the FDA for all the work that was  
7 done in putting together this data set because,  
8 really, it's somewhat of a simple question. We're  
9 just not saying that response isn't a predictor of  
10 outcome; we're deciding what the depth of response  
11 has to be, and whether it's 50 percent for PR,  
12 90 percent for a VGPR, or 99 percent or  
13 99.9999 percent, really, we're just changing the  
14 the bar, in fact, raising the bar, or what the bar  
15 has to be in order for a new therapeutic to show  
16 efficacy as an early indicator because our  
17 therapies are better.

18 I do want to echo a point that Dr. Madan  
19 alluded to earlier, and that is a concern about  
20 gamesmanship. I worry that people will say, "Well,  
21 all that matters now is going to be MRD at  
22 12 months, so my new therapy is going to be

1 6 drugs for 12 months, and then nothing after  
2 that." So I am concerned about the focus on a  
3 single time point and not looking at MRD at  
4 multiple time points, or time to loss of MRD, or  
5 some other metric to discourage that type of  
6 gamesmanship that companies may engage in.

7           Then one last thing I'd just like to say,  
8 I'm concerned if there are people out there that  
9 have an attitude that, "Well, everyone's doing  
10 really well, so we don't need to come up with new  
11 drugs, or we don't need to bring them to market  
12 faster." I also get very concerned that if we  
13 create a scenario where the time to market is so  
14 long that drug companies have to recover their sunk  
15 costs over a very short period of time, only  
16 2 to 3, 4 years of patent life, that we're going  
17 to find ourselves in a situation where drug costs  
18 will necessarily become more astronomical than they  
19 already are because the costs aren't able to be  
20 recovered over a longer period of patent life. So  
21 I do think there is a very good justification for  
22 continuing to use an accelerated approval

1 mechanism, in part, to make sure that we have an  
2 opportunity for the very difficult costs associated  
3 with drug development to be recovered. Thank you.

4 DR. NOWAKOWSKI: Thank you.

5 Dr. Madan?

6 DR. MADAN: Yes. I think there's a lot of  
7 concordance in the conversations today with the  
8 disease experts and the FDA in terms of the  
9 adequacy of the data, but I think, again, changing  
10 the incentive structure here is an important  
11 consideration, especially if the timelines are  
12 changed by the magnitudes we're talking about.

13 I think we just have to understand that if  
14 we go into this world, you may see higher degrees  
15 of agents that don't meet the criteria for full  
16 approval. And I know it's hard when that happens  
17 for patients and providers to really accept that a  
18 drug that, at least in their hands, has been  
19 effective is now being removed because of reasons  
20 that maybe didn't necessarily coincide with the  
21 initial approvals, but I think it's something we  
22 have to accept if we use an endpoint like this.



1 But it should be something that is acceptable to  
2 all the players, and especially the patients,  
3 because in the end, that's who stand to benefit the  
4 most from this, but also potentially can be hurt by  
5 this if the vigilance isn't there. Thank you.

6 DR. NOWAKOWSKI: Thank you.

7 Dr. Vasan?

8 DR. VASAN: Neil Vasan, Columbia. I'd also  
9 like to congratulate the applicants, and also all  
10 the partnerships I think that were necessary for  
11 such a long-term endeavor. I'm a breast  
12 oncologist, and the analogy that I have been  
13 thinking about this whole time is on pathologic  
14 complete response, which of course has had a  
15 tremendous number of ODACs and discussion around  
16 this endpoint. I came back to the original  
17 meta-analysis that was performed by the FDA and  
18 Dr. Cortazar, with the R-squared for DFS of only  
19 point .03 at the trial level. The R-squared's  
20 we're talking about here are so much higher than  
21 that. So while this is apples and oranges, clearly  
22 to me this is, from an analytical point of view, a

1 better endpoint.

2 I will say that I share my colleagues'  
3 concerns regarding gamesmanship, but I am assuaged  
4 by the fact of the recent 2023 Consolidated  
5 Appropriations Act and really gives the FDA a  
6 muscular policy to enforce accelerated approval,  
7 with multiple safeguards in place to nudge  
8 companies to comply, so that gives me faith in this  
9 process.

10 Finally, on this point of innovation, I do  
11 think it's very important -- again, I'm a breast  
12 oncologist. We have many trials in our field,  
13 especially in ER positive metastatic breast cancer  
14 that take years to accrue their PFS endpoint, and  
15 because the field is so fast-moving, by the time  
16 that trial reports, it can sometimes be irrelevant.  
17 Maybe the control arm is one we wouldn't use now  
18 and other mitigating factors. So having more  
19 endpoints in different diseases will help spur  
20 innovation, undoubtedly.

21 DR. NOWAKOWSKI: Mr. Riotto?

22 MR. RIOTTO: Michael Riotto, patient.

1 Dr. Madan just mentioned something a few minutes,  
2 and he said it is about the patients, and I'm a  
3 patient. He said we could hurt a patient, and we  
4 can also really help a patient, and you think about  
5 going back to the timeline of what I mentioned  
6 earlier, my time is infinite.

7 Speaker number 6 -- and I'll be honest with  
8 you -- really annoyed me when he said, "Well,  
9 you've got 15 years." Well, I want to live 50  
10 years, or 60 years, or 70 years, and having MRD  
11 negative -- and I really appreciate everything that  
12 everyone has done, I really do -- if it can bring a  
13 drug to market faster, as an educated patient, I'll  
14 take that risk. That's what clinical trials are  
15 all about. I'll take that risk. If I'm at my last  
16 resort, and there's a drug out there that's on a  
17 clinical trial, and MRD negativity is its endpoint,  
18 and it's going to give me maybe 18 months or  
19 24 months, I'm going to jump at it. Thank you.

20 DR. NOWAKOWSKI: Thank you.

21 Dr. Maurer?

22 DR. MAURER: Thanks. Matt Maurer, Mayo

1 Clinic. I would also like to echo the comments in  
2 the room, and really commend the sponsors for the  
3 work to put this together, as well as the FDA. As  
4 we saw on the slides, this was over a decade of  
5 work to do this, and it's not a small undertaking  
6 to assemble data sets like this.

7 In regards to the adequacy of the available  
8 data, doing a surrogacy analysis of this sort means  
9 you're always going to be a few years behind  
10 because you need the randomized trials, it's a  
11 limitation, you need adequate follow-up, and then  
12 you need the time to assemble the data and do the  
13 analysis. So I have no concerns with the analysis  
14 that's been done. I think it's a very strong work  
15 by all of the people involved. I think one of the  
16 challenges, though, is with four studies in the  
17 relapsed/refractory setting, I would have liked to  
18 have seen more, especially given the low MRD  
19 negativity rate in those studies. But again, this  
20 is what is available when we have the time to do  
21 the analysis. So that's more of a flaw of maybe  
22 where we're at from the drug development standpoint

1 in terms of having those data, as opposed to the  
2 work by all the people involved.

3 DR. NOWAKOWSKI: Thank you.

4 Dr. Advani?

5 DR. ADVANI: So like everybody else,  
6 congratulations on this immense amount of work,  
7 both by you all, as well as the FDA. It's rare to  
8 have this kind of a discussion where it's all  
9 academic and with a great amount of integrity of  
10 data, so congratulations.

11 I think at the patient level, it's very,  
12 very clear. And while I am concerned about some of  
13 the toxicity concerns, I do think that you'll have  
14 safeguards built into it, especially being able to  
15 pull things out if approval is very early based on  
16 this, and it turns out to be toxic like you did for  
17 the PI3 kinase inhibitors and other such drugs;  
18 that you have safeguards in place.

19 I also think this sets a precedent for  
20 actually moving the field forward, not only for  
21 patients to get the drug earlier, but can we stop  
22 therapy based on MRD if the duration of MRD is

1 longer with better treatments. It kind of opens up  
2 a whole other way of maybe treating patients, where  
3 you don't have this continuous, where you can get  
4 treatment gaps like you do in solid tumors. So I  
5 think it's commendable that we're able to get to  
6 this stage. Thank you for that.

7 DR. NOWAKOWSKI: Dr. Hourigan?

8 DR. HOURIGAN: So Chris Hourigan. In answer  
9 to your question, yes, and I think it's great to be  
10 in a situation where there's no discordance between  
11 what the data is teaching us. The current standard  
12 for accelerated approval is response, so measurable  
13 residual disease is a direct measure of anti-tumor  
14 response.

15 I think the biological plausibility, we all  
16 agree, the individual association with response, we  
17 all agree, and I think we're in actually a much  
18 better situation, looking at this in the context of  
19 this FDA commitment, for safety monitoring and  
20 robust compliance for clinical benefit confirmation  
21 studies. I think we think a lot about the risks of  
22 action. I think, also, we need to consider the

1 risk of inaction.

2 You imagine the future of drug developments  
3 where we're using a non-high sensitivity measure of  
4 anti-myeloma response. We can't push any new  
5 entities forward in those trials with drug  
6 development because we don't have the appropriate  
7 tools to measure the efficacy of those therapies.  
8 There's harm to inaction.

9 So, again, to reflect to the fast-talking  
10 man in the T-shirt and the sweatshirt, I think  
11 we're right to consider risks. We have trust in  
12 the regulators, but also I think there's great harm  
13 to not acting, and I think this data gives us  
14 confidence that all three bodies came to,  
15 essentially, the same conclusions.

16 DR. NOWAKOWSKI: Dr. Martin?

17 DR. MARTIN: Yes. Tom Martin again, UCSF.  
18 Just to come back to you guys' comments about  
19 gaming this scenario, that doesn't seem to me to be  
20 so much of a risk. That would be that we're going  
21 to even see a higher bar of MRD negativity. I  
22 think we are, and that is our measure of response.

1 It is all of our jobs, everybody in this room, to  
2 actually have safeguards in for the trial for the  
3 next thing, and these patients who potentially had  
4 a great response with MRD and we do get accelerated  
5 approval, they're going to be followed for other  
6 side effects. That's what we're saying. They're  
7 going to be followed for PFS for that downstream  
8 thing.

9 Just what Chris just said also, there are  
10 risks on both sides of it. There's the risk of  
11 downstream toxicity, but there's also the risk that  
12 the patients themselves have to wait this amount of  
13 time to actually get access to this therapy. And  
14 I'll go back to what Dr. Landgren said, is our best  
15 chance is frontline, our best chance to actually  
16 get the deepest, the best, and hopefully the  
17 longest remission. And I do think we cure a  
18 fraction of the patients, and maybe to get even  
19 that C word out there even more would be for us to  
20 actually get these drugs to the frontline as soon  
21 as possible.

22 DR. NOWAKOWSKI: Thank you.



1 Dr. Pazdur?

2 DR. PAZDUR: The safeguard, really, is the  
3 randomized trial that will happen subsequently  
4 because we've seen in the development of drugs in  
5 this disease, the drugs that we took off the  
6 market, they mostly came off the market because  
7 people had incorrect doses. They just were looking  
8 at how to get the highest response rate, and then  
9 put the results in a risk-benefit context. And  
10 then when they looked at it in a randomized study  
11 against a therapy that had a much more favorable  
12 toxicity profile, then it showed detrimental  
13 survivals. So that is really the safeguard that is  
14 put in place, and that's why we're so insistent  
15 that these trials be done in an expeditious manner,  
16 really, as part of a comprehensive plan.

17 We've written about this extensively, and I  
18 don't know how many of you follow our discussions  
19 on the whole accelerated approval program, but what  
20 we're really looking for is the sponsors to come in  
21 with a comprehensive development plan, with not  
22 only the accelerated approval discussion of the

1 trial that they're going to use for accelerated  
2 approval, but also what their plans are for the  
3 confirmatory study, right up front, as well as the  
4 timelines for that, et cetera. So we really do not  
5 want a sequential approach; we want a comprehensive  
6 plan using this.

7           There's one other thing from a regulatory  
8 point of view that I want to point out. The whole  
9 picture of multiple myeloma is really a true  
10 picture of the success of the accelerated approval  
11 program. We have, I think, 13 drugs -- 17, excuse  
12 me -- and almost all of them were approved on  
13 accelerated approval. All of them were approved on  
14 non-survival endpoints, and we have many critics  
15 that say, "Oh, the world is falling apart because  
16 these drugs have not been approved on an overall  
17 survival basis." But what you've seen with this  
18 disease is a dramatic improvement in the disease  
19 itself and what the options are for the patients,  
20 and I think that this really represents the true  
21 meaning of the accelerated approval program.

22           People get fixated on one drug and, no, it

1 hasn't shown a survival advantage, and I'd like to  
2 point out that a failed trial does not necessarily  
3 mean a failed drug. There are many reasons why a  
4 trial can fail, and when you really take a look at  
5 what has happened here, you have drugs that were  
6 basically all approved on non-survival endpoints,  
7 that when used together have demonstrated profound  
8 effects on overall survival and have transformed  
9 this disease. And this is the true success story  
10 of accelerated approval, and probably in oncology  
11 the best example of that in a disease that when I  
12 started out had only melphalan and prednisone for  
13 its use in the 1970s and 1980s.

14 The other point that Dr. Martin made that I  
15 really want to talk about is also the upfront use  
16 of this drug, of drugs, in the accelerated  
17 approval. Many times we are just focused on the  
18 most refractory disease setting, but the whole idea  
19 behind this Project FrontRunner project of the OCE  
20 is to try to move these drugs up as soon as  
21 possible when we have, obviously, the appropriate  
22 safety information to use them in a previously

1 untreated population.

2 But that's really where we're going to see  
3 the benefit, and we do really want sponsors to move  
4 these drugs up as quickly as possible using  
5 accelerated approval, and this would be a great  
6 opportunity to use the one-trial initiative where  
7 you get accelerated approval on a surrogate  
8 endpoint, or a earlier clinical endpoint I should  
9 say, and then follow them up for PFS or overall  
10 survival.

11 DR. NOWAKOWSKI: Thank you. That's why from  
12 the FDA presentation of the potential pathways for  
13 approval and different trials, the one which  
14 actually speaks the closest to my heart is the  
15 single-trial model where you randomize up front and  
16 you have accelerated approval based on MRD, which  
17 removes some of the assay variability as well and  
18 lets you capture early toxicity in this randomized  
19 site comparison, and then the trial continues.  
20 It's efficient, allows you early readout, and  
21 really accelerates drug development.

22 DR. PAZDUR: If I could make one more point,

1 we love randomized trials, obviously, but even  
2 people that want to come in with a single-arm  
3 trial, where you do have problems with dose -- and  
4 here again, there's a tremendous rush to get these  
5 drugs approved and we really want people to have  
6 adequate dosing information as they develop their  
7 drugs -- is to do a randomized study of dose, and  
8 once you decide what is your dose, continue that  
9 arm out, so to speak, so you're not wasting  
10 patients' resources but continuing those out; but  
11 you do have early randomized information on dosing.

12 Many people don't realize that the need for,  
13 really, looking at dose early on -- and that was,  
14 again, one of the projects that we're looking at in  
15 the OCE -- is really to encourage better dosing of  
16 these drugs so we don't run into problems as we  
17 have with having to take drugs off the market  
18 because they probably had the wrong dose and  
19 subsequently failed in the randomized study; not  
20 that they didn't have efficacy, but they were just  
21 too overly toxic.

22 DR. NOWAKOWSKI: This is something which we

1 didn't discuss here much, the potential impact of  
2 MRD assessment of Project Optimus and how do you  
3 select the dose because, presumptively, you would  
4 consider it to be a part of the totality of  
5 evidence of more efficient therapy in this setting.

6 Mr. Mitchell?

7 MR. MITCHELL: Yes. I'm David Mitchell.  
8 I'm the consumer rep and a myeloma patient. I want  
9 to echo what Dr. Pazdur said. I think I'm part of  
10 that success story. If I'm not mistaken,  
11 bortezomib, pomalidomide, and daratumumab were all  
12 approved under accelerated approval and are now  
13 converted. Those are the drugs literally keeping  
14 me alive, and I got them sooner because of the  
15 accelerated approval pathway. So I see the  
16 accelerated approval pathway is something that is  
17 for patients, and it's worked for me.

18 The FDA, in participating in these meetings,  
19 I think has taught me that the only way we're going  
20 to know for sure about safety is randomized  
21 clinical trials, looking at overall survival.  
22 That's how we ultimately know whether they're doing

1        what we want them to do and not delivering  
2        toxicities that are doing more harm than good.  And  
3        we're not changing any of that by looking at this;  
4        we're only saying here's another predictor that  
5        seems to have strength in utility and will help us  
6        advance these drugs coming to market.

7                As a patient, I do want to emphasize the  
8        point that Dr. Madan made a moment ago -- I think  
9        it was Dr. Madan; it might have been  
10       Dr. Lieu -- that we need to be tracking toxicities  
11       closely.  And if we only have 12 months before we  
12       measure MRD, we better have really good tight data  
13       on what's having an impact on patients in terms of  
14       the quality of life and the things that cancer  
15       patients have to put up with, whether it's  
16       peripheral neuropathy or diarrhea, or something  
17       worse.  But we need to be looking at all of those  
18       things.

19               I do want to respond to Dr. Prasad and to  
20       say maybe there is no unmet need, in his view, at  
21       diagnosis, but beauty is truly in the eyes of the  
22       beholder.  When I was diagnosed, median survival

1 was maybe 6 to 8 years; now 10-plus. Maybe we  
2 could say -- I don't know what the most recent  
3 numbers are. I think it's also true the research  
4 shows that a strong early response is a predictor  
5 of longevity, so having better drugs that have more  
6 power and effectiveness early on can be beneficial  
7 to patients and extend their lives, if I'm  
8 interpreting that data correctly.

9           So I don't see us as looking at an unmet  
10 need; I see us as trying to get access to superior  
11 therapies. Where there's clearly unmet need is in  
12 relapsed and refractory disease, and it's kind of  
13 ironic that that, in this discussion, is where we  
14 have the least clarity in terms of applying MRD.  
15 But that doesn't mean we shouldn't, especially when  
16 you take into account all of the surrounding  
17 variables that you always look at when you ask us  
18 to consider risk versus benefit.

19           So I think we are addressing unmet need,  
20 both at the front end and at the back end. It just  
21 depends on what your need is. So I think that the  
22 adequacy, going to this from my perspective, is it



1 does support the use of MRD. Thanks, and I'm done.

2 DR. PAZDUR: Just to follow up on David's  
3 comments, the actual legislation says, "for serious  
4 and life-threatening disease," not "unmet medical  
5 need," and I don't think any rational person would  
6 say that multiple myeloma is not a serious and  
7 life-threatening disease. So it's not in the  
8 legislation, the congressional mandate.

9 DR. NOWAKOWSKI: Thank you.

10 Dr. Conaway?

11 DR. CONAWAY: Yes. I just wanted to echo  
12 what Dr. Pazdur said about the use of this in  
13 early-phase trials. I tend to do more of those  
14 than late phase. We're talking about this in  
15 accelerated approval. But long-term outcomes are  
16 just not feasible in these early-dose optimization  
17 trials, so I think we shouldn't lose sight of this  
18 endpoint across the spectrum of drug development.

19 DR. NOWAKOWSKI: Thank you.

20 So let me finally summarize this discussion.  
21 What we've heard here is that the sponsors and FDA  
22 needs to be really commended for this effort on

1 looking at patients and trying to establish MRD as  
2 the endpoint in clinical trials in multiple  
3 myeloma. It is very difficult, as we've seen by  
4 the timeline, but a very important effort.

5 It was felt that, indeed, MRD represents a  
6 major opportunity for acceleration of drug  
7 development, particularly in a frontline setting.  
8 There is some concern about catching toxicity and  
9 quality of life in this setting, and some worries  
10 that maybe this emphasis on early endpoint can  
11 decrease the emphasis on the later endpoints,  
12 including PFS and overall survival, although within  
13 the frames of the accelerated approval process,  
14 this is usually mitigated by requirement for  
15 additional randomized studies.

16 Also further, this accelerated drug  
17 development may have a favorable impact on  
18 acceleration of the drug development overall and  
19 possibly decreasing the cost of care; and we also  
20 heard to minimize toxicity and looking at the  
21 optimal dose for patients, along with Project  
22 Optimus, this MRD assessment could be also a very

1 valuable method in this regard.

2 We'll now move to question 2, also a  
3 discussion question. Discuss whether the available  
4 data supports the use of MRD as an endpoint in  
5 different multiple myeloma disease settings,  
6 specifically newly diagnosed multiple myeloma and  
7 relapsed/refractory multiple myeloma.

8 Are there any questions or comments  
9 regarding the wording of the question or concerns?

10 (No response.)

11 DR. NOWAKOWSKI: I don't hear any, so we'll  
12 now move to the discussion of this question.

13 Dr. Vasan?

14 DR. VASAN: Neil Vasan, Columbia. I think  
15 many of us brought up some of these issues.

16 Obviously, I think it just comes down to the fact  
17 that this is a large meta-analysis. The number of  
18 representative data points of trials in the newly  
19 diagnosed setting versus the relapsed/refractory  
20 setting, it is a countable number. It is a small  
21 number. We have to both draw large-scale  
22 conclusions from that small finite number of data

1 points, as well as try to figure out which is just  
2 overfitting the data. I think that many of the  
3 correlations for the relapsed/refractory setting  
4 were weaker than in the newly diagnosed setting, so  
5 I think that's just something that we're going to  
6 have to deal with by the field, and that the field  
7 will continue to use these biomarkers in these  
8 trials.

9 DR. NOWAKOWSKI: Dr. Lieu?

10 DR. LIEU: This is Chris Lieu, University of  
11 Colorado. I agree completely with Dr. Vasan. I  
12 think that, obviously, the data in the  
13 relapsed/refractory setting is the weakest, and I  
14 think that's just really a power issue. I think  
15 when we think about this setting, the rates are  
16 going to be pretty low -- I assume, not being an  
17 expert in disease of MRD negative CR -- and I would  
18 really encourage the experts in the field to  
19 consider what change in MRD negativity would be  
20 clinically meaningful.

21 I have no idea what that is. But in that  
22 setting where the rates are low, is it a 1 to 2

1 percent difference? Is that what's clinically  
2 relevant, or is it like a 10 to 15 percent  
3 difference? I think those are all, obviously, some  
4 of the assumptions and the things that have to be  
5 worked out in protocol development to determine,  
6 well, what's the bar and what would be a meaningful  
7 bar here in that setting?

8 DR. MARTIN: Tom Martin, UCSF. Again, I'll  
9 give you the myeloma perspective here. So there  
10 were just a few studies, so it's very difficult,  
11 and the studies that were part of the briefing  
12 documents, in fact, were good studies. They are  
13 good relapsed/refractory myeloma studies, but  
14 mostly were antibody based and other  
15 non-immune-therapy based studies, which to your  
16 question, the level of MRD negativity was not that  
17 high.

18 I do think it's going to be a change of  
19 20-plus, maybe 30-plus percent MRD negativity, what  
20 we're going to see in the relapsed setting. You  
21 get so many years from frontline therapy of  
22 remission duration, you get a much shorter

1 remission duration in the relapsed/refractory  
2 setting, and as you get really relapsed/refractory,  
3 it's even shorter. So to show that difference,  
4 you'd probably need a lot of studies to do that,  
5 not just four studies. So again, we do have to use  
6 the totality of the data to say do we think it  
7 still would work in relapsed/refractory knowing  
8 what we know about newly diagnosed, so it is a  
9 different group of patients for sure.

10 DR. FRENKL: I guess when I'm looking at the  
11 data, And we're focusing here just on the  
12 individual-level association to meet the bar of  
13 accelerated approval -- the odds ratios are still  
14 super high, or very high I'll say, for  
15 relapsed/refractory and are still statistically  
16 significant in that there's no crossing of the 1.  
17 So that's what I am kind of focusing on when I'm  
18 looking at newly diagnosed and relapsed/refractory,  
19 and they both seem to meet that bar for today.

20 DR. NOWAKOWSKI: Dr. Nieva?

21 DR. NIEVA: Yes. I think it just comes down  
22 to biological plausibility. It doesn't really make

1 any sense that it would be important in newly  
2 diagnosed and not important in relapsed/refractory  
3 patients. It really just is a threshold thing, and  
4 I don't think anybody here would think that a drug  
5 that increased the MRD rate by 30 percent in the  
6 relapsed/refractory setting isn't something that's  
7 a major advance in the way of activity. So I do  
8 think that we have enough data here, enough  
9 biological plausibility data, and enough that we  
10 can extrapolate from the newly diagnosed setting to  
11 say, yes, we should be able to move forward in that  
12 context.

13 DR. NOWAKOWSKI: Dr. Vasan?

14 DR. VASAN: Neil Vasan, Columbia. I agree  
15 with everything that's been said, and I think also  
16 adding that, again, for people in the field, I  
17 think what's interesting about MRD as a biomarker,  
18 there's a bit of an avant garde-ness in the sense  
19 that it's not fixed, again, as compared to  
20 pathologic complete response in breast cancer,  
21 which really is a fixed definition, and I doubt  
22 that will ever change. This is more quantitative.

1 It has multiple NGS flow cytometry. We've talked  
2 about a lot of these variables.

3 So it's possible that more optimization of  
4 this, resetting the threshold -- maybe the  
5 threshold like Mr. Mitchell pointed out, this 10 to  
6 the negative 6 threshold -- could be relevant for  
7 the relapsed/refractory. All these details, I know  
8 that the field will work these out, but I think  
9 that those details are going to be very important  
10 as this biomarker develops.

11 DR. NOWAKOWSKI: To follow up on your  
12 comment, though, do you think with the change in  
13 the field and the level of detection, it might get  
14 more challenging to compare some of the historical  
15 results with the single-arm studies potentially in  
16 the relapsed/refractory space?

17 DR. VASAN: Yes, I agree with that. And one  
18 of the strengths of the meta-analysis I think is  
19 that the number of trials that was included span  
20 decades, if I'm correct. I think this also  
21 provides a playbook for the future, as well as for  
22 involving trials that cover the span of years.



1 DR. NOWAKOWSKI: Dr. Maurer?

2 DR. MAURER: Matt Maurer, Mayo Clinic. I  
3 have a question for our patient representatives.  
4 With the accelerated approval process, there's a  
5 risk-benefit. The benefit is you're getting  
6 earlier access to drugs; the risk is there's maybe  
7 less evidence about the safety and effectiveness of  
8 those drugs. What we're really talking about, as  
9 someone mentioned earlier, is that MRD is a more  
10 sensitive response rate.

11 Could you speak maybe to how that translates  
12 to you as a patient in terms of your understanding  
13 of your benefit in terms of this? I think we're  
14 used to dealing with response rates that this drug,  
15 or this agent, or this regimen is more likely to  
16 shrink your tumor. MRD, is that meaningful to you  
17 as a patient in terms of your personal  
18 understanding of the risk-benefit of this, if we're  
19 approving things on it in an accelerated basis?

20 MR. RIOTTO: Mike Riotto, a patient. In a  
21 one-word answer, yes, because whether I'm looking  
22 at progression-free survival or overall survival,

1 and all the statistics that you can look at it for  
2 dara or Sarclisa, if my doctor is telling me that,  
3 "Hey, I can give you this particular drug, and it's  
4 so far has shown that it's going to give you MRD  
5 negativity to the 10 minus 5," I'm going to go for  
6 it. I just think most patients are going to look  
7 at that. They're going to look at the fact that as  
8 the data keeps accumulating, that MRD negativity  
9 becomes more of a common word.

10 I mean, every support group that I tend to,  
11 every conference I go to, it's talked about  
12 extensively, everywhere. So I would probably say  
13 that most myeloma patients are well aware of it.  
14 Do they understand it all? Probably not yet, but  
15 as it becomes more mainstream, they will. And,  
16 yes, if you come back, and my healthcare team says  
17 I can give you this and it's going to get you here,  
18 I would definitely say yes. I think that's so  
19 important.

20 As far as the risk, as I mentioned a little  
21 while ago, if there's any drug out there that's  
22 going to give me a longer life, I'm going to take

1       it. I mean, I am willing to take that risk because  
2       what's the alternative? You know? What's the  
3       alternative? It's not good.

4               DR. NOWAKOWSKI: Thank you.

5               MR. MITCHELL: I'm going to exercise patient  
6       prerogative here. Even though he asked him, and  
7       I'm a consumer rep --

8               DR. MAURER: I asked both of you, well, I  
9       guess from a patient --

10              MR. MITCHELL: -- I'm not in the same place  
11       as you are. I don't want a drug that makes me  
12       blind, for example, but will extend my life. I  
13       don't know that I'm willing to make that trade, for  
14       example. And I'm talking about a specific drug  
15       right now, and I don't know that I would. That's  
16       something I have to think about hard. So longer  
17       life at what cost is a factor for me and,  
18       fortunately, I haven't had to cross that bridge  
19       yet, and I don't ever want to cross that bridge if  
20       I don't have to.

21              As far as minimum residual disease, I have  
22       light chains now that are more than measurable. I

1 have an M spike, but I don't have any other  
2 symptoms. My CBCs are perfect. They're picture  
3 perfect. My bone marrow is doing its work. I did  
4 a PET CT scan a couple of months ago, and there's  
5 nothing really happening that is worthy of  
6 addressing right now. But at the point in time  
7 that my physician says we need to consider another  
8 option, "look at your numbers, they're trending too  
9 much and we have to arrest them," I'm very heavily  
10 pretreated. If I get like a treatment, whatever it  
11 may be -- CAR T -- and it scrubs me out and leaves  
12 me with clean pipes, I would consider that a big  
13 triumph because that wasn't possible, mainly, a few  
14 years ago.

15 All of the drugs for relapsed and refractory  
16 multiple myeloma were inferior. Inferior is the  
17 wrong word. They weren't as optimistic in terms of  
18 the results that they could achieve for whatever  
19 number of patients. So "minimum residual disease"  
20 is a useful term for me to think about, that this  
21 treatment could put me back in a place, although  
22 I'm heavily pretreated, that I sort of start over,

1 in my head. These are my own personal  
2 interpretations, so for me, it's an effective  
3 concept as a patient, minimum residual disease at  
4 negative 5, 10 to the negative 5.

5 MR. RIOTTO: Alright, David. So we're going  
6 to agree to disagree, but I would think that the  
7 FDA has enough safeguards in place that I wouldn't  
8 have to worry about going blind; that they would  
9 have already looked at that, and we would be ok.

10 I want to go back to Dr. Pazdur, if I get it  
11 right. When I was diagnosed, I was an infant way  
12 back then, three drugs. And you're right, there  
13 are 17 now, and most of those are all through the  
14 accelerated approval program. So MRD negativity  
15 means a tremendous amount to me as I move forward  
16 in my journey at 12-plus years now.

17 And we don't talk about it much, or we  
18 didn't talk about it much. We talked about unmet  
19 need and everything out there. You're living with  
20 a disease that's going to kill you, and you know  
21 that. I don't know how you feel about it, David,  
22 but you're living with a disease that's going to

1 kill you, and that's a really hard thing to deal  
2 with every day. And if there's just a little bit  
3 out there, MRD negativity, to move a clinical trial  
4 ahead a little bit, to get a drug ahead a little  
5 bit, it's a beautiful thing.

6 DR. NOWAKOWSKI: Thank you.

7 Those are great and very valuable comments.  
8 I'm a little bit concerned, just from the drug  
9 development perspective, because we're all talking  
10 about acceleration of the drug development, but I  
11 can imagine if we have examples of the  
12 trials -- for example lymphoma, where the response  
13 rate was not necessarily higher and MRD results  
14 were looking negative, yet PFS was actually  
15 different later on in a trial -- would it be a  
16 possibility that if somebody doesn't see this early  
17 signal, would we actually abandoned potentially  
18 promising therapy, which could then affect PFS or  
19 maybe even overall survival? I wonder if folks  
20 have any comments.

21 DR. PAZDUR: That's why we want the  
22 single-trial approach because we have seen in other

1 therapeutic areas, with the PD-1 therapies, for  
2 example, that response rate and PFS are not good  
3 predictors of overall survival. And if you went  
4 down that pathway of, "Oh, let's just look at  
5 overall response rate," you may miss a drug and  
6 true therapeutic advances.

7 So if you had the single-trial approach,  
8 basically, you would keep the trial going on and  
9 witness an overall survival advantage. We have  
10 seen that, and this is one of the things that we  
11 are cautioning people about repeatedly, is not to  
12 put all of their eggs in one basket as far as this  
13 response rate, genuflecting in front of this altar  
14 of response rate, basically, to put it in Catholic  
15 terms.

16 DR. NOWAKOWSKI: Thank you.

17 Dr. Frenkl?

18 DR. FRENKL: I guess I was going to offer a  
19 different perspective in that the prognostic value  
20 of it being positive -- and we all want to bring a  
21 new drug to market for the hope of patients, and we  
22 all hope it's positive. But the other fight is if

1 we really believe in the negative prognostic value  
2 of this as well, it's also beneficial for patients,  
3 for pharma, for FDA, because we can make an early  
4 stop and not waste patients' time, not waste  
5 resources, and everybody can use it towards things  
6 that will actually move forward, and I see that  
7 potential with this in the data that we have as  
8 well.

9 DR. GORMLEY: One other thing that I just  
10 really want to underscore, and I believe -- I can't  
11 remember -- maybe it was Dr. Nieva who mentioned  
12 this. This is one more tool. We're not getting  
13 rid of the other tools. We're not getting rid of  
14 our PK/PD assessments. We're not getting rid of  
15 overall response. We're not getting rid of  
16 toxicity, safety assessments, SAEs, adverse events,  
17 dosing information. It's one other piece to the  
18 whole complete armamentarium of the data that we'll  
19 have available.

20 DR. NOWAKOWSKI: Thank you.

21 Dr. Vasani?

22 DR. VASANI: To echo off of Dr. Frenkel's



1        comments, again, just bringing it back to the  
2        breast cancer example, the prognostic value of  
3        path CR in breast cancer, if a patient does not  
4        achieve a path CR, years ago, we treated those  
5        patients exactly the same as if they did have a  
6        path CR. But then more recently in the past  
7        five years, now we escalate therapies in the  
8        adjuvant setting, and that was because of the  
9        strong development of a biomarker that everyone  
10       agreed on, and everyone agreed had prognostic  
11       value. That was the only reason those trials could  
12       have been designed, and now we have data that those  
13       drugs, when added, improve overall survival in some  
14       settings.

15                DR. NOWAKOWSKI: Thank you.

16                As a general reminder, when you're speaking,  
17        please introduce yourself just for the  
18        recordkeeping.

19                If no other comments, let me summarize this  
20        part of the discussion. I think the committee has  
21        seen MRD assessment favorably, both in the  
22        frontline setting and in the relapsed/refractory

1 setting, pointing out that some of the analyses  
2 showing less association were probably confounded  
3 by a smaller number of patients and inevaluable  
4 trials. Importantly, biologically, biological  
5 significance appears to be the same in both  
6 settings. There was some concern, however, that  
7 there is technology drift, which can result in  
8 different assessments of MRD in the future, and  
9 therefore this is something to consider while  
10 interpreting trial results in the future as well.

11 It appears that the benefit and gain in MRD  
12 negativity is very meaningful. There were patient  
13 advocates, particularly knowing the association  
14 with the prognosis. And finally, we should not be  
15 putting all the eggs in one basket. It's clear  
16 that MRD is just one of the endpoints. We should  
17 be still looking at duration of response,  
18 progression and survival, overall survival, and all  
19 the other classical endpoints in the trials,  
20 including overall response rate and CR rates, which  
21 we have done in the past.

22 Now, we'll move to question 3. It is also a

1 discussion question. Discuss the applicability of  
2 the time points for MRD assessment, 9 months,  
3 12 months, MRD negative complete response at  
4 anytime, and the requirement for assessment of  
5 durability, and we'll start a discussion now.

6 DR. MARTIN: Okay. I'll start. Tom Martin,  
7 UCSF. As a treating myeloma physician and a person  
8 who's done MRD for the last 5 or 10 years -- and  
9 Dr. Landgren brought this up in his discussion  
10 also -- the M protein after therapy for myeloma has  
11 a half-life, and you have to actually give people  
12 therapy for 6 months to 12 months before you truly  
13 would get rid of that M protein. So certainly, a  
14 distal of 6 months is an important time frame to  
15 follow MRD so that you could actually get MRD CR.  
16 I think most time points after that, 12 months or  
17 after, are actually all applicable in terms of  
18 measuring MRD negativity because at that point,  
19 they should be NCR and MRD negative.

20 I don't think there was enough data -- that  
21 was one of the questions I was going to ask  
22 earlier, and I forgot to ask it, about sustained

1 MRD negativity because that's the next hurrah for  
2 us. It's not just this one time point of MRD  
3 negativity, but 6 months of MRD negativity, or  
4 12 months of MRD negativity. Many of our relapsed  
5 trials, we've looked at those time frames, and you  
6 actually get better PFS and better overall  
7 survival, obviously, the longer the MRD. Again,  
8 it's a biology thing. You're MRD for a longer  
9 period of time and you're a longer responder,  
10 you're going to have longer PFS and OS.

11 I would say that, for me, anything 9 months  
12 or forward would be an appropriate time. So  
13 9 months and 12 months I think is fine, or later,  
14 for MRD, and I think we should actually consider  
15 sustained MRD negativity as an endpoint in some  
16 clinical trials as we move forward.

17 DR. NOWAKOWSKI: Thank you.

18 MR. RIOTTO: Mike Riotto, patient. My  
19 thoughts as a patient -- and this goes back to what  
20 we talked about a minute ago -- say I'm newly  
21 diagnosed, I would like to know what my MRD is when  
22 I'm newly diagnosed. I'd like to know what it is

1 right after induction therapy. I'd like to know  
2 what it is if I go through transplant, right after  
3 transplant, and then probably every year after  
4 that, like I do a bone marrow biopsy every year  
5 after that just to see where it's at.

6 Is that what you're all thinking? Am I kind  
7 of on the same wavelength there?

8 DR. MARTIN: So maybe I can answer that for  
9 you. There are many reasons to test MRD for a  
10 patient like yourself because you want to see the  
11 response. You want to see how good you're doing  
12 with the various therapies, et cetera. But for  
13 this point of looking at accelerated approval,  
14 we're picking a time point where this is the time  
15 point that we're going to say, "Okay, this is where  
16 we're going to measure one arm versus the other arm  
17 or do they achieve that endpoint." We do have to  
18 choose a time frame, so we want a time frame that's  
19 going to be, quote/unquote, "maybe the best  
20 time frame for us to make that."

21 It doesn't mean that we can't do it at  
22 6 months, or at 9 months, or at 12 months, if you

1 want to go through that many bone marrows -- I  
2 don't know -- but it is the one time frame that  
3 we're looking at when do we do our assessment to  
4 say, "Okay, this is enough to say that we can have  
5 accelerated approval for this drug." That's more  
6 of it.

7 MR. RIOTTO: Can I follow up? Would it only  
8 be the one time, then, only at 12 months, and you  
9 wouldn't follow up after that?

10 DR. MARTIN: Well, I think that's what this  
11 discussion is. That's what we want to talk about  
12 in this discussion, and that's why doing sustained  
13 MRD negative, too. So you do it at 3 months after  
14 transplant and 9 months after transplant, and you  
15 have sustained MRD negativity; that is also a  
16 measure.

17 DR. KANAPURU: Yes. This is Dr. Kanapuru.  
18 Just to clarify, I think we sort of discussed this.  
19 But to answer the first point, yes, the current  
20 data analysis did not have data points to assess  
21 sustained MRD negativity and the impact on  
22 long-term outcomes. In regards to your question,

1 Mr. Riotto, we are asking you to discuss if there  
2 is a need to actually assess at multiple time  
3 points but, really, at the 9 month and 12 month is  
4 where we're trying to assess in terms of an  
5 endpoint to see if there's a difference between the  
6 two arms.

7 One aspect to consider is that for a 9-month  
8 and 12-month endpoint, you already have that period  
9 from the time you started treatment, so you're  
10 really looking at already the duration. It's not  
11 the duration from the time of achieving MRD, but  
12 you've already had the period where you've been  
13 without progression because you're still MRD  
14 negative at 9 months or you're MRD negative at  
15 12 months. But we would want you to discuss, in  
16 addition to that assessment at 9 months and  
17 12 months, would it be helpful to have additional  
18 time points to measure so that you can actually  
19 look at whether the MRD is durable. So that's  
20 something that we want you to discuss here.

21 DR. NOWAKOWSKI: Dr. Advani?

22 DR. ADVANI: Dr. Advani, Stanford. I think

1 it comes with the responsibility to do that. So  
2 this is, yes, one time point for accelerated  
3 approval, and I think the 9 and 12 month has been  
4 well studied, and well vetted, and it takes that  
5 much time to bring the protein down. But moving  
6 forward beyond that, I do think as clinicians or  
7 researchers, it's a responsibility to test it  
8 further on to see how durable it is and whether it  
9 correlates with longer term outcomes as well.

10 DR. NOWAKOWSKI: Dr. Hourigan?

11 DR. HOURIGAN: Yes. Just to answer your  
12 question, I spend a lot of time thinking about  
13 measurable residual disease in other settings, and  
14 I think it's really important to uncouple the  
15 different use cases for these really powerful  
16 tools. So the high sensitivity assessment of  
17 residual disease can be used for many different  
18 things, and I think the way it's used in clinical  
19 practice may be different than the way it's used in  
20 a regulatory context to approve new drugs.

21 I think this is ultimately a choice. Any  
22 landmark assessment when there's continuous therapy



1 is going to be a choice, and I think the choice  
2 made here is reasonable based on the data  
3 available. Your clinician, however, may very  
4 reasonably decide to track you for many other  
5 purposes at different landmarks. I think this is  
6 just a landmark choice as a starting point for the  
7 purposes of testing the efficacy of drugs against  
8 each other, in randomized trials in particular.

9 DR. NOWAKOWSKI: Yes. Greg Nowakowski. I  
10 think that over time, the assessment will be  
11 important. As Dr. Advani pointed out, it's almost  
12 our responsibility to establish if the dynamic of  
13 the MRD actually is even a better predictor of the  
14 outcome in the long term. In addition, in other  
15 tumors, we also know that the rapidity of  
16 normalization or achieving MRD negativity is  
17 actually very important as well, so I have no doubt  
18 that in the future, this field will develop even  
19 more.

20 Dr. Lieu?

21 DR. LIEU: This is Chris Lieu, University of  
22 Colorado. The use of accelerated approval here,

1 the data are so strong at 9 months and 12 months,  
2 and there is a little bit of a difference, but the  
3 data are so strong at a patient level that I think  
4 that that endpoint is reasonable to use. To use an  
5 extreme example, if you require durability of  
6 response -- let's just say you require, an extreme  
7 example, 5 years of durability of MRD  
8 negativity -- obviously, we assume that that would  
9 be associated with improved overall survival, but  
10 then the length of time to approval is obviously  
11 quite long.

12 So I think the data are strong enough at  
13 these earlier time points to use them, and while I  
14 agree that assessment of durability is obviously a  
15 critical part of the assessment of, obviously, the  
16 entire trial population, the use of a 9-month MRD  
17 assessment time point for accelerated approval  
18 seems very reasonable to me.

19 DR. NOWAKOWSKI: Dr. Nieva?

20 DR. NIEVA: Jorge Nieva, USC. I'm at two  
21 minds on this. One is, the 12-month point seems  
22 somewhat arbitrary, and we don't have comparisons

1 of what it would look like at one time or another.  
2 And while I think durability is a good thing to  
3 know, I have this tremendous fear that this is  
4 going to mean every myeloma protocol has a marrow  
5 biopsy every 6 weeks on the patients forever. And  
6 I just want to make sure that as sponsors think  
7 about designing their trials, they're not thinking,  
8 "Oh, yeah. All we need to do is do more bone  
9 marrows, and then we'll have this much additional  
10 statistical power to show a difference between the  
11 two arms because now we can do a Kaplan-Meier plot  
12 of loss of MRD," and I just don't want to see that  
13 happen. So I think we need to balance these two  
14 things.

15 DR. NOWAKOWSKI: That's an extremely  
16 important point.

17 Dr. Maurer?

18 DR. MAURER: Matt Maurer, Mayo Clinic. I  
19 think the results are strong, equally for 9 and  
20 12 months, so I would encourage, based on the  
21 clinical therapy, being able to make decisions  
22 about either 9 or 12 months, depending on the

1 length of therapy, consolidation, and/or  
2 maintenance. So having some clinical judgment in  
3 terms of picking either of those I think would be  
4 warranted.

5 DR. GORMLEY: Could we comment as well?

6 DR. NOWAKOWSKI: Yes. Dr. Gormley?

7 DR. GORMLEY: Nicole Gormley, FDA. These  
8 are really important concepts, and I think there  
9 were analyses looking at MRD at 9 months, MRD at  
10 12 months, and MRD at any time. And all of these,  
11 other than the MRD at any time, the 9 and  
12 12 months, have a little bit of durability built  
13 into them just because of when they are set;  
14 although the MRD at any time also had some  
15 durability built into it as well just because  
16 that's when most MRD is actually assessed. It's  
17 when you achieve CR, which is a little bit later  
18 on. I think all of these time points in and of  
19 themselves had strong individual prognostic level  
20 associations, so that's an important consideration.

21 In terms of the durability, the IMWG defines  
22 sustained MRD as MRD sustained for 12 months, so

1 that would be later than our 12-month MRD  
2 assessment because it's reaching MRD and then  
3 sustaining that for 12 months, and as we mentioned  
4 earlier, the data is not robust yet enough to  
5 assess that. And I think, as was mentioned, there  
6 will be continued assessment of this over time,  
7 looking at the MRD kinetics across the board, time  
8 to attaining MRD, and durability of MRD.

9 So I think that we will, over time, know  
10 more information about the kinetics, but I think  
11 the question is, the data that we have really  
12 suggest that just MRD in and of itself, at  
13 9 months, 12 months, and at any time even, were  
14 strong prognostic individual associations. So this  
15 is a little bit of a deviation, perhaps, than how  
16 we have traditionally treated response rate, and  
17 that's been response rate with durability, but I  
18 think that the MRD data that we have thus far has  
19 not looked at that yet, and I think we will over  
20 time. But MRD, even at these time points, was a  
21 strong individual prognostic association.

22 DR. NOWAKOWSKI: Greg Nowakowski. I

1 completely agree with this. I think this goes back  
2 a little bit to our love of randomized studies  
3 because if you imagine this scenario as a single  
4 randomized study, you can probably pick up  
5 different points and compare them in the same time.  
6 If you're looking at single-arm studies as  
7 refractory space and comparing to historical  
8 control, the most currently available and most  
9 robust would be 9 and 12 months, so it also depends  
10 on the scenario.

11 The other thing, there is a movement now,  
12 which is good to see for our patients, of trying to  
13 get more of a time-limited therapy or time-defined  
14 therapy than therapy forever. So depending what  
15 will be the duration of this therapy, the timing of  
16 MRD assessment can change as well. But you're  
17 absolutely right; it does have this element of  
18 durability itself already at 9 to 12 months.

19 Dr. Hourigan?

20 DR. HOURIGAN: Chris Hourigan. Because  
21 we're all agreeing so much, just to put in a cancer  
22 example, just in terms of data collection and

1 harmonization for future across different efforts,  
2 as we're picking an arbitrary landmark, is there  
3 any utility in picking one, given 9 and 12 month  
4 both seem to have a similar prognostic association  
5 and individual level? Futurecasting 10 years into  
6 the future, is there some value in just putting a  
7 stake in the ground and saying we're going to say  
8 12-month assessments are the assessment and giving  
9 help to our next generation of colleagues, are we  
10 going to do a similar meaning to this to move  
11 forward?

12 The only thing I'd add is unlike other  
13 measures of response assessment, this is highly  
14 quantitative, so you will collect data at this  
15 10 to the minus 5 cut point in your future  
16 technologies. When we go to 10 to the minus 6 to  
17 10 to the minus 9 -- to your point,  
18 Mr. Mitchell -- to 10 to the minus 20, we'll still  
19 have data at this 10 to the minus 5 cut point, but  
20 the timing may be important.

21 DR. GORMLEY: No, that's a good point.  
22 Nicole Gormley, FDA. I would just add, I think

1 that there is probably value in standardization,  
2 just generally. I will say, though, even though  
3 there may be one time point that's chosen as the  
4 primary endpoint, often in the clinical trials, we  
5 do have some assessment of other time points as  
6 well. So similar to your comment about the depth  
7 of response, I think over time as well, we'll  
8 gather more information from several of these  
9 trials about the appropriate time point and  
10 kinetics, just generally with MRD.

11 DR. NOWAKOWSKI: Dr. Frenkl?

12 DR. FRENKL: Actually, Dr. Gormley said  
13 everything that was on my mind just a few minutes  
14 ago with all of her comments. Thanks.

15 DR. NOWAKOWSKI: Thank you.

16 Dr. Martin?

17 DR. MARTIN: Tom Martin, UCSF. I would  
18 actually say that we should keep it a little bit  
19 open because of the design of the trial. And  
20 9 months, I honestly don't think there's a big  
21 difference in 9 months versus 12 months. For  
22 transplant trials, where they get 4 cycles of



1 induction and then a transplant, that might take  
2 3 or 4 months, and then a couple cycles of  
3 consolidation, 12 months might be the sweet spot.  
4 But in a new newly diagnosed transplant-ineligible  
5 population that gets 6 or 8 cycles of induction,  
6 then the 9-month frame might be the best one.

7           So I think we should let the trial decide on  
8 what's going to be the best time point for, this is  
9 where my analysis is going to be, based on the data  
10 that's been sent, and like you said, we have good  
11 data at 9 and 12 months. It's the sustained one  
12 that'll be really interesting to see down the road  
13 as we get more data, that maybe that is the best  
14 predictor and that can be the the next level for  
15 us.

16           DR. PAZDUR: I agree with some flexibility  
17 here because, here again, we're basing everything  
18 on existing therapies, and there may be other  
19 therapies that come out that require additional  
20 time here. And to say, well, we're only going to  
21 look at this at this point may be cutting off our  
22 nose, basically, here because we really need to

1 have some flexibility here; and here again,  
2 benefits of a randomized study, you could specify  
3 that.

4 DR. NOWAKOWSKI: Dr. Hourigan?

5 DR. HOURIGAN: Just in terms of line  
6 stepping, I know clinical investigators will always  
7 push the envelope. So the date of analysis seemed  
8 to be 12 months plus or minus 3 months versus  
9 9 months plus or minus 3 months. You can imagine  
10 that, then, we're trying to compare 6-month time  
11 points with 12-month time points, which may be very  
12 different. So just to split the difference, would  
13 12 months plus or minus 3 months be a window that's  
14 acceptable to -- Dr. Martin, you're the myeloma  
15 expert. Is that a reasonable --

16 DR. GORMLEY: Nicole Gormley, FDA again.  
17 And that was sort of the reason why we had the  
18 additional assessment. We added MRD negative CR at  
19 any time to allow a little bit more flexibility  
20 because the clinical trial protocol may have just  
21 designated that once a patient achieves CR, we will  
22 assess MRD negativity, and that actually, as well,

1 still demonstrated a good amount of individual  
2 prognostic association.

3 So again, I think we may be arguing a little  
4 bit over -- not arguing, but this may not be needed  
5 at this level. I think where we're coming from is  
6 does the committee deem that all of these times are  
7 adequate? And a lot of this, I think as Dr. Martin  
8 mentioned, will really be driven by the specific  
9 therapy and the patient setting for a specific  
10 trial. And that's not to say, again, this will  
11 just be the designation of the primary endpoint. I  
12 suspect that multiple MRD time points within any  
13 given trial will still be collected such that even  
14 if one is designated as the primary endpoint, even  
15 if it's MRD negative CR at anytime, we would still  
16 get information from these other time points as  
17 well, which will add to our information we have  
18 later on.

19 DR. NOWAKOWSKI: Dr. Hourigan?

20 DR. HOURIGAN: Just for the record, I can  
21 see the point, and I'd like to also say I was  
22 playing devil's advocate.

1 (Laughter.)

2 DR. NOWAKOWSKI: Any other comments or  
3 discussion points?

4 (No response.)

5 DR. NOWAKOWSKI: If not, let me summarize  
6 the discussion at this point by the committee. So  
7 the answer in brief is it depends, depends on the  
8 trial design and depends on the timing of therapy.  
9 But the 9 to 12-month endpoint currently for an MRD  
10 appears to be the most validated for current use.  
11 There was a definite indication that other time  
12 points in other trials, particularly in randomized  
13 comparisons, could be also explored and adequate.

14 There was also understanding that this is  
15 technology which is in development and will change,  
16 so understanding the dynamic of how MRD has  
17 changed, the durability, would be something which  
18 we should encourage in clinical trials. Again, we  
19 have to be mindful here, though, to minimize the  
20 burden for the patients, which could be related to  
21 the ongoing bone marrow biopsies. Then, we also  
22 recognize that technology may change as well in

1 terms of the limit of detection of the cells, so  
2 additional studies in the future will inform MRD  
3 assessment even more.

4 Well, thank you. We'll now proceed to  
5 question 4, which is a voting question for today.  
6 We'll be using an electronic voting system for this  
7 meeting. Once we begin to vote, the buttons will  
8 start flashing, and will continue to flash even  
9 after you have entered your vote. Please press the  
10 button firmly that corresponds to your vote. If  
11 you are unsure of your vote or wish to change your  
12 vote, you may press the corresponding button until  
13 the vote is closed.

14 After everyone has completed their vote, the  
15 vote will be locked in. The vote will then be  
16 displayed on the screen. The designated federal  
17 officer, Dr. Stevenson, will read the vote on the  
18 screen into the record. Next, we'll go around the  
19 room, and each individual who voted will state  
20 their name and vote into the record. You can also  
21 state the reason why you voted as you did, if you  
22 want to. We'll continue in the same manner until

1 all questions have been answered or discussed.

2 This is the voting question. Does the  
3 evidence support the use of MRD as an accelerated  
4 approval endpoint in multiple myeloma trials? And  
5 before we proceed, I would like to ask if there are  
6 any comments or concerns about the wording of the  
7 question. Does anybody have any concerns or  
8 comments about the wording of the question?

9 (No response.)

10 DR. NOWAKOWSKI: It sounds like it's pretty  
11 clear to the committee.

12 So if there are no further questions or  
13 comments concerning the wording of the question,  
14 we'll now begin the voting process. Please press  
15 the button on your microphone that corresponds to  
16 your vote. You will have approximately 20 seconds  
17 to vote. Please press the button firmly after you  
18 have made your selection. The light will continue  
19 to flash. If you are unsure of your vote or wish  
20 to change your vote, please press the corresponding  
21 button again before the voting is closed.

22 (Voting)

1 DR. STEVENSON: Takyiah speaking, DFO. For  
2 the record, there are 12 yeses, 0 noes, and  
3 0 abstentions. Thank you. I'll hand it back to  
4 the chair. Thank you.

5 DR. NOWAKOWSKI: Thank you.

6 Now that the vote is complete, we'll go  
7 around the table and have everyone who voted state  
8 their name, vote, and if you want to, you can state  
9 the reason why you voted the way you did into the  
10 record. We'll start from Dr. Lieu and go around  
11 the table.

12 DR. LIEU: This is Chris Lieu, University of  
13 Colorado. I voted yes. There's a clear clinical  
14 need and unmet need for an endpoint here. This is  
15 a wonderful problem to have. Your overall response  
16 rate is too high; progression-free survival is too  
17 long. What a great issue to be discussing today.  
18 But the landscape is changing, and we've got to  
19 adapt to that landscape, and we need to incorporate  
20 novel technologies, and that's what the FDA is  
21 doing, and that's what the applicants have done  
22 with their analyses, and they should be commended

1 on that.

2 Dr. Hourigan had made this point. Does MRD  
3 fulfill the criteria outlined by the FDA guidance  
4 in terms of biological plausibility? Yes;  
5 prognostic impact, yes, and then clinical evidence,  
6 certainly at the patient level, the answer is yes.  
7 I think we're all concerned that, of course, MRD  
8 negativity is not going to correlate perfectly with  
9 overall survival, and I think this is a legitimate  
10 concern. And the question at hand is, does the  
11 currently available evidence support that the  
12 benefits of using this endpoint in the accelerated  
13 approval fashion outweigh the risks? And, to me,  
14 the answer is yes. Thank you.

15 DR. MADAN: Ravi Madan, National Cancer  
16 Institute. I think the FDA showed that MRD does  
17 fall short of true surrogacy, but that's a high  
18 bar, and that wasn't the question today. I think  
19 our clinical experts and the FDA both agree that  
20 MRD does meet the criteria for accelerated  
21 approval, and that's why I voted yes.

22 That said, I think we need to be cautious



1 that once FDA guidance, if that's the choice, gets  
2 out that MRD is acceptable for accelerated  
3 approval, it will change the incentive structure  
4 for preclinical modeling, clinical development,  
5 early clinical trials, so that requires the FDA to  
6 be vigilant. We talked a little bit about how that  
7 may lead to throwing the baby out with the  
8 bathwater, as financial incentives may pressure  
9 industry to hit the MRD mark or not decide to  
10 continue.

11 On the flip side, it could raise other  
12 concerns that hitting MRD may not translate into  
13 long-term clinical efficacy, so therefore, the FDA  
14 needs to pay close attention, as it always does, to  
15 safety, progression-free survival, and other  
16 relevant endpoints like survival. But again, I  
17 commend everybody on the efforts here, which took  
18 15 years. It's easy to sit in awe of the work done  
19 today.

20 DR. NOWAKOWSKI: Thank you.

21 And just as a reminder, please state your  
22 vote just for the record in addition to your name.

1 DR. MADAN: Sorry. For the record, I voted  
2 yes.

3 MR. MITCHELL: I'm David Mitchell, consumer  
4 representative. I voted yes, and Dr. Lieu and  
5 Dr. Madan really said everything I would have said.

6 MR. RIOTTO: Michael Riotto, patient  
7 representative. I voted yes. I voted yes because  
8 I'm hoping that MRD negativity, as a surrogate in a  
9 clinical trial, will lead to a cure. There might  
10 be a drug out there that will be a cure for me and  
11 all the other myeloma patients.

12 DR. NIEVA: Jorge Nieva. I voted yes, and I  
13 voted yes because I've actually never before seen  
14 this level of data presented on simply moving the  
15 bar on response. We have three independent  
16 statistical analyses from thousands of patients,  
17 showing that it does in fact correlate very nicely  
18 with long-term outcomes. And I think if ever there  
19 was an endpoint that showed a good statistical  
20 association, this is the one that does.

21 DR. VASAN: Neil Vasani from Columbia. I  
22 voted yes. I'd like to congratulate the applicants

1 here. This was a Herculean effort. I think it  
2 really changes the playbook for how we think about  
3 biomarkers across all cancer types. To me, the  
4 important word was "reasonable." Is this a  
5 reasonable surrogate endpoint? Is this a  
6 reasonable intermediate endpoint? And I think it  
7 is more than reasonable.

8 I think, just big picture, it is a wonderful  
9 thing to be able to learn from all of the patients,  
10 from this critical mass of patients who've been  
11 involved in phase 3 trials all over the world over  
12 many, many years. This is a wonderful aspirational  
13 approach that I think all oncologists can refer to.  
14 Thank you.

15 DR. HOURIGAN: Christopher Hourigan. I  
16 voted yes. Measurable residual disease negativity,  
17 determined using a validated assay capable of  
18 detection down to 10 to the minus 5, is an  
19 important measure of reduction of tumor burden, and  
20 has been shown to be clearly strongly associated,  
21 at an individual level, with progression-free and  
22 overall survival in patients with multiply myeloma.

1           Because we live in the real world, the  
2 evidence for a trial-level association is less  
3 robust. We're always going to be looking in the  
4 rearview mirror, looking at data from drugs and  
5 assays that don't reflect the current  
6 state of the art and confounded by post-trial  
7 realities. It's messy.

8           This reasonably likely intermediate endpoint  
9 will not perfectly capture clinical benefit in all  
10 scenarios, and may sometimes mislead us, but that's  
11 why we're talking about accelerated approval, and  
12 I'm reassured by the robust safety monitoring and  
13 this requirement for the confirmation of clinical  
14 benefit.

15           There is harm to inaction. We're not  
16 currently curing people of multiple myeloma, and  
17 I'm not willing to make patients wait on principle  
18 for a theoretical perfect that may never come. Our  
19 responsibility to accept the world is messy and be  
20 agile enough to adapt and iterate as the evidence  
21 develops, rather than create barriers to the work  
22 of discovering effective new therapies for these

1 patients.

2 DR. MARTIN: Hi. Tom Martin, UCSF. I voted  
3 yes, and I would like to applaud the FDA and the  
4 applicants for actually doing all the work over the  
5 last 10 years with all the meetings and everything  
6 that's done to bring us to this day, and also to  
7 all the investigators across the world who  
8 basically put in the data, the individual  
9 patient-level data, for these analyses.

10 The analyses all showed that this is a  
11 reasonable approach to look for accelerated  
12 approval. It took over 10 years to get to this  
13 point. I think this day actually will mark that in  
14 the next 2 to 5 years, we'll have way more data,  
15 just based on this meeting and based on this  
16 approval today, on MRD, and it will take us to the  
17 next level. And finally, just the patients, I'd  
18 like to thank all the patients for doing all the  
19 bone marrow biopsies for all these analyses. Thank  
20 you.

21 DR. MAURER: Matt Maurer, Mayo Clinic. I  
22 voted yes. Again, I echo everyone's comments in

1 here about the strength of the work that's been  
2 done over the last 10 years to really move  
3 endpoints forward in multiple myeloma, so  
4 congratulations to the the team and all people  
5 involved, but the teams, as well as the FDA. I  
6 think it brought up that the accelerated approval  
7 process has been a big success in myeloma, and I  
8 think this, essentially, with MRD, continues to  
9 move that forward.

10 I think MRD, from the data presented,  
11 clearly met the criteria of an intermediate  
12 clinical endpoint. I think the agency has shown  
13 that they know how to use the accelerated approval  
14 process within myeloma given this broad success.  
15 So if we think in the big picture, this mechanism  
16 has really worked, and I think this will help  
17 continue to move this forward for patients with  
18 myeloma.

19 DR. NOWAKOWSKI: Dr. Advani?

20 DR. ADVANI: So I voted yes because I think  
21 it doesn't get rid of the traditional endpoints.  
22 It raises the bar higher. I have full faith in the

1 system that there are safeguards in place to  
2 prevent bad things from happening, like if there's  
3 toxicity, there are endpoints. And I do think  
4 technology is advancing, and that hopefully these  
5 tests are not going to need bone marrows every  
6 2 months or so, but we can do it in a simple blood  
7 test.

8 DR. NOWAKOWSKI: Thank you. And if I can  
9 ask you, Dr. Advani, just state your name for the  
10 record.

11 DR. ADVANI: Dr. Advani, and I voted yes.

12 DR. NOWAKOWSKI: Thank you.

13 DR. CONAWAY: Mark Conaway, University of  
14 Virginia, and I, too, want to congratulate the  
15 teams for the Herculean effort of harmonizing data  
16 across so many clinical trials, and an Herculean  
17 task describes it. I do think this could well  
18 serve as a blueprint for developing endpoints in  
19 the future. So for all those reasons, and the  
20 reasons expressed by other panel members, yes, I  
21 voted yes.

22 DR. NOWAKOWSKI: Thank you.

1           Greg Nowakowski. I voted yes, and I voted  
2           yes with confidence because of the way the  
3           accelerated approval process is designed. There is  
4           a safety net to require confirmatory studies and  
5           require long-term toxicity and benefits of other  
6           time-dependent endpoints. So the way the system is  
7           designed, it really facilitates rapid drug  
8           development while providing these long-term  
9           confirmatory studies to assure our patients safety,  
10          and also for the other reasons already mentioned.

11           So this concludes this part. Before we  
12          adjourn, I would like to make a couple of comments.  
13          First, I would like to also applaud the sponsors  
14          and FDA for all the work which was done to really  
15          bring the MRD as an endpoint in multiple myeloma.  
16          We've seen the timelines. It took a lot of effort.  
17          It took a lot of international collaboration and a  
18          lot of investigators working together, but we  
19          really believe that this is going to drive the  
20          field forward. I'd particularly like to also thank  
21          FDA for hosting here and allowing us to have this  
22          discussion. We would like to thank the public and



1 also the open public hearing presenters for all  
2 their comments to the panel. We always find those  
3 very useful in our deliberations at this committee.

4 And a personal comment, and I've heard it  
5 from many of the members as well, I'd like to thank  
6 FDA for your combined briefing document. With two  
7 sponsors and FDA comments, it made the  
8 interpretation of the results much easier in  
9 tracking for us. So you definitely improved our  
10 ability to really quickly understand the major  
11 points in the discussion, and I will open it to FDA  
12 for your comments.

13 DR. PAZDUR: First of all, I want to thank  
14 everybody for making the travel here, and hopefully  
15 we're somewhat back to normal and we'll continue  
16 in-person meetings. This was the first advisory  
17 committee out of any therapeutic area that was done  
18 live, so this is a groundbreaking thing after the  
19 COVID infection. But we wanted to really talk  
20 about the briefing document also.

21 I want to emphasize that these are separate  
22 documents, basically, separate inputs. We're not

1 working in collaboration with the sponsor on this.  
2 The sponsor does theirs, we do ours, and I think  
3 that's important for people to realize. But I  
4 wanted to get people's viewpoints on this because  
5 we really want this to be the default position for  
6 these briefing documents.

7 Many times -- many of the standing members  
8 know this -- they get two briefing documents that  
9 may be well over 100 pages, and it's hard, really,  
10 to digest all this information. I assume that  
11 people like this unified briefing document. If  
12 they don't, please tell me, because we plan on  
13 trying to make sponsors take the default position,  
14 and if they don't want to do it, I'll be asking  
15 them at this meeting, why not? So to put them on  
16 the hot seat, so to speak.

17 But really, we want this, and this is a  
18 public opportunity to announce that this is where  
19 we want this to move, and if they're not willing to  
20 do it, be prepared to answer why you're not doing  
21 it because I think this simplifies the process and  
22 puts the arguments in counterpoint point, so to

1 speak. And the real name of this project was Point  
2 Counterpoint; yes? And here again, we really want  
3 simplified documents to really illustrate where  
4 we're going and where the company is going.

5 So if I don't hear from anybody, I assume  
6 that there is uniform agreement that we should move  
7 forward? Okay. So without any dissent, companies  
8 beware. This is what we expect, and Dr. Pazdur  
9 will be on you if you don't do this.

10 DR. GORMLEY: Great. Yes. I just wanted to  
11 take a minute to thank both the Miami group and the  
12 I2TEAMM team. As everyone has mentioned, this was  
13 a very large collaborative effort, and I think it  
14 really helped to advance the field of myeloma, so  
15 thank you for all the work that you did.

16 I also really want to thank all the  
17 committee members for joining us here in person  
18 today and all of your really thoughtful comments  
19 and discussion. It's really, really valued, and we  
20 take all of this back and really listen to all of  
21 your comments. So thank you for your time and all  
22 of your really rich discussion that was had today.

1 Thank you.

2 **Adjournment**

3 DR. NOWAKOWSKI: Thank you, Dr. Gormley.

4 If no other comments, we'll now adjourn the  
5 meeting. So thanks again for your participation.

6 (Whereupon, at 3:19 p.m., the meeting was  
7 adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22