

1 Public Workshop on Advancing the Use
2 of Complex Innovative Designs in Clinical Trials:

3 From Pilot to Practice

4
5 Docket No. FDA-2023-N-4965

6
7
8 Moderated by Dr. John Scott

9 Tuesday, March 5, 2024

10 9:00 a.m.

11
12
13 FDA Great Room, Building 31

14 10903 New Hampshire Avenue

15 Silver Spring, MD 20993-0002

16
17
18
19 Reported by: Richard Livengood

20 JOB NO.: 6161834

21

A P P E A R A N C E S

List of Attendees:

Dr. John Scott, U.S. Food and Drug Administration

Dr. Roger J. Lewis, MD, PhD, University of California,
Los Angeles and Berry Consultants, LLC

Dr. Karen Lynn Price, PhD, Eli Lilly and Company

Dr. Herbert (Herb) Pang, PhD, Genentech/Roche

Dr. Stephen Ruberg, PhD, Analytix Thinking

Dr. J. Jack Lee, MD, MS, DDS, University of Texas MD
Anderson Cancer Center

Dr. Rebecca Hubbard, PhD, University of Pennsylvania

Dr. Frank E. Harrell, PhD, Vanderbilt University and
U.S. Food and Drug Administration

Dr. Dean Follmann, PhD, National Institute of Allergy
and Infectious Diseases

Dr. Frank Bretz, PhD, Novartis

C O N T E N T S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

	PAGE
Dr. Roger J. Lewis	14-41
Dr. Karen Lynn Price	50-77
Dr. Herbert (Herb) Pang	87-109

1 P R O C E E D I N G S

2 DR. SCOTT: Good morning, everybody.

3 Thanks very much for joining us today for our Public
4 Workshop on Advancing the Use of Complex Innovative
5 Designs in Clinical Trials: From Pilot to Practice.6 I particularly appreciate those of you who came out in
7 person on a kind of dreary Tuesday. I'm sure more
8 will be arriving later.9 This meeting is being recorded, and I
10 know we're going to have a really exciting discussion
11 today, and I'm looking forward to hearing from our
12 speakers and panelists and learning a lot from them.13 So my name is John Scott. I am the
14 director of the Division of Biostatistics and FDA
15 Center for Biologics, Evaluation, and Research. And
16 I'm going to take just a few minutes to sort of give
17 some background and introduce what's going on today,
18 but really the speakers and the panelists are the
19 stars of the show today.20 So, you know, starting with sort of the
21 obvious, we rely so much on clinical trials to support

1 our critical regulatory and public health decisions.
2 They form the backbone of evidence of safety and
3 effectiveness needed for drug and biologic approval.
4 I think most people know that the cost and complexity
5 of trials have ballooned in recent decades.

6 Some of the numbers people say are
7 somewhat eye watering in terms of cost and complexity.
8 The questions we're trying to answer just get more and
9 more complicated as science progresses. So
10 consequently, there's really been a need for
11 innovative approaches to answer complex questions and
12 to improve trial efficiency.

13 And some of those approaches include
14 various adaptive designs, phasing approaches, and
15 potentially incorporating external data in trial
16 analysis and design. And one result of this need was
17 FDA's Complex Innovative Trial Design, which for
18 reasons I won't belabor, we don't have a T in the
19 abbreviation for it. We call it CID Review Program.

20 A bit of the history of the CID Review
21 Program: Under PDUFA VI, the sixth authorization of

1 the Prescription Drug User Fee Act, which ran from
2 2017 to 2022, Congress gave FDA a mandate to
3 facilitate the advancement and use of complex
4 innovative designs in regulatory decision making.

5 And there were several sort of subparts
6 of that commitment, which included developing staff
7 capacity, convening a public workshop -- we had a
8 workshop much like this one five years
9 ago -- publishing draft guidance, and developing sort
10 of review procedures and templates as appropriate.

11 But importantly, the kind of main thing
12 was the requirement for FDA to conduct a pilot program
13 for the review of CID proposals. So what that pilot
14 program was, it's a joint effort between FDA Center
15 for Drugs and Center for Biologics Evaluation, and
16 Research.

17 What happens is sponsors submit designs
18 to be considered by FDA and if the designs are
19 accepted into the program, they have the opportunity
20 to engage with the regulatory review team on those
21 designs via two additional meetings, typically focused

1 specifically on the more technical aspects of the
2 designs.

3 FDA will select up to two of these
4 submissions per quarter, and then one of the unique
5 features of this program is that we form an agreement
6 with the applicant on elements on the proposal that
7 can be publicly disclosed so that we can use the
8 designs as case studies for others for outreach and
9 education.

10 Those CID meetings are led by the
11 biostatistics groups in FDA, so in CDER, it's the
12 Office of Biostatistics; in CBER, the Division of
13 Biostatistics, but there's of course participation
14 from clinical teams and from all relevant disciplines.
15 So again, this ran from 2017 to 2022.

16 Over that time, we accepted six
17 submissions across several therapeutic areas,
18 including neurology, analgesia, rheumatology,
19 oncology, and several of them were in adult or
20 pediatric rare diseases. And the methodologies or
21 designs that were used included Bayesian hierarchical

1 models, the use of informative priors to bring in
2 structure and information and master protocol designs.

3 I mentioned that PDUFA VI committed us
4 to publish guidance on CID. We broke that up into two
5 different guidances, one guidance on adaptive designs
6 for clinical trials for drugs and biologics, and the
7 other a guidance on interacting with the FDA on CID
8 proposals.

9 So that brings us to PDUFA VII, the
10 seventh iteration of the Prescription Drug User Fee
11 Act, which we're in now. It runs from 2022 to 2027.
12 The objective is similar to PDUFA VI, and says "To
13 facilitate the advancement and use of complex
14 adaptive, Bayesian, and other novel clinical trial
15 designs."

16 One interesting difference is that the
17 objective now explicitly mentions Bayesian. And we
18 have our sort of goals under this, which include
19 continuing to develop staff capacity for CID review,
20 to continue the paired meeting program (which we no
21 longer call a pilot, we just call it the paired

1 meeting program), to convene the public workshop that
2 we're having today, and to publish a draft guidance on
3 the use of Bayesian methods in drug and biologic
4 trials.

5 So in today's workshop, our goals are
6 to discuss aspects of complex adaptive, Bayesian, and
7 other novel clinic trial designs. And specific topics
8 we were asked to consider include considerations for
9 external data sources, Bayesian statistical methods,
10 simulations, that is, trial simulations, for operating
11 characteristics and issues with clinic trial
12 implementation.

13 And the way we're structuring today, I
14 hope everybody has the agenda for specifics, but we're
15 going to start in the morning with three case studies
16 of successful CID proposals, followed by a panel
17 discussion, including the speakers and additional
18 panelists.

19 I'm not going to go through people's
20 biographies in detail, but we have a panel today of
21 extremely distinguished speakers and panelists. The

1 detailed biographies are posted on our event webpage,
2 so I'm just going to introduce people quite briefly
3 now. Let's see. Is it in -- I think it is in order
4 from my immediate left.

5 Frank Bretz is a distinguished
6 quantitative research scientist at Novartis. Dean
7 Follmann is chief of the Biostatistics Research Branch
8 at the National Institute of Allergy and Infectious
9 Disease at NIH. Frank Harrell, who is joining us
10 remotely, is professor of biostatistics at Vanderbilt
11 University School of Medicine and also an expert
12 biostatistics advisor to FDA Center for Drugs,
13 Evaluation, and Research.

14 Rebecca Hubbard is professor of
15 biostatistics at the University of Pennsylvania. Jack
16 Lee is professor of biostatistics and Kennedy
17 Foundation Chair in cancer research at MD Anderson
18 Cancer Center. Roger Lewis is senior physician in the
19 Los Angeles County Department of Health Services,
20 professor of emergency medicine at the David Geffen
21 School of Medicine at UCLA, and a senior medical

1 scientist at Barry Consultants.

2 Herb Pang is expert statistical
3 scientist in PD Data Sciences at Genentech/Roche. And
4 Karen Price is vice president Statistical Innovation
5 Center Advanced Analytics at Eli Lilly. Oh, and I
6 somehow missed Steve Ruberg. Steve Ruberg is a former
7 employee of Eli Lilly, and is currently the principal
8 of Analytix Consulting; is that correct?

9 DR. RUBERG: Analytix Thinking.

10 DR. SCOTT: Analytix Thinking. I'm so
11 sorry for the neglect, Steve. That doesn't reflect
12 your importance to the panel. So before we get
13 started, I wanted to thank -- there were a lot of
14 people involved in making this workshop come together,
15 but in particular, and especially I wanted to thank
16 Tuan Pham, who is the CID project coordinator for
17 CDER.

18 Tuan was instrumental in every aspect
19 of this, ranging from speaking contact to agenda
20 formation to the Federal Register notice to
21 coordinating catering, and we wouldn't be here without

1 him today. Christopher Egelebo is the CID project
2 coordinator for CBER and also participated quite a lot
3 in organizing today's workshop.

4 We have a lot of internal folks at FDA
5 who work on CID and who contributed in some way to
6 this effort. There's a steering committee, a proposal
7 selection committee, and an education subcommittee.
8 And of course, when these proposals come in, there are
9 CDER and CBER reviewers who review them.

10 And then finally, the White Oak AV
11 team, who I'm grateful that they turned down my mic
12 and are doing many other things behind the scenes.
13 And then finally before we begin, I really wanted to
14 give a very special thanks and recognition to my
15 colleague Dr. Dionne Price, who tragically passed away
16 two weeks ago, but otherwise would be the one here
17 giving this introduction.

18 Many of you in the audience knew Dionne
19 or were touched by her leadership in some way, but for
20 those who weren't fortunate enough to know her, Dionne
21 was deputy director of the Office of Biostatistics in

1 FDA CDER and past president of the American
2 Statistical Association. Absolutely nobody had a more
3 significant impact on FDA's CID Review Program and how
4 we treat complex designs than Dionne.

5 She led the program for CDER, starting
6 with PDUFA VI and through the PDUFA VII
7 reauthorization, and she in particular was
8 instrumental in the PDUFA VII negotiation process that
9 led to the continuation of the CID program. Her
10 influence on every major decision for the program and
11 most minor decisions for the program couldn't be
12 overstated, ranging from process implementation,
13 proposal screening and selection and external
14 communication and outreach.

15 And CID was just one of many things she
16 did. Dionne brought outstanding judgment, strong
17 leadership, and really truly unparalleled diplomacy to
18 everything she did, and kindness, and we miss her a
19 lot. But we will -- she would want us to have the
20 workshop, I'm sure, so we'll proceed.

21 And our first speaker is Dr. Roger

1 Lewis, who's going to be speaking about the CHIPS
2 trial, which was an adaptive storage duration finding
3 trial for platelets. And this trial is -- of the
4 three, this is one that wasn't a formal proposal to
5 the CID meeting program. It just came through the
6 ordinary IND review path, which illustrates that CIDs
7 aren't restricted only to the program.

8 I wanted to mention that if people have
9 questions about the talks specifically, if you're on
10 Zoom, you can put them in the Q&A, and we may be able
11 to get to them later, but we will also have a specific
12 designated time later for audience questions, both in
13 person and by Zoom. Okay.

14 Roger?

15 DR. LEWIS: Thank you. All right.
16 Thank you, John. It's a pleasure to be here. I'd
17 like to thank the organizers for this opportunity.
18 And as John mentioned, this is not a trial that was
19 designed through the CID program, but I think that the
20 positive interactions with the agency throughout the
21 development of the trial illustrate the influence of

1 the CID program on the agency's thinking.

2 These are my disclosures. Most
3 importantly, relevant to this, is I'm the senior
4 medical scientist at Barry Consultants and also an
5 inactive special government employee. So the CHIPS
6 trial, first of all, I need to point out that I'm not
7 the person doing the hard work of the trial.

8 It's led by multiple principal
9 investigators. There's been a very large statistical
10 design team involved in both the design and
11 implementation, a data coordinating center at the
12 University of Utah, and it is funded by the U.S. Army
13 Medical Research and Development Command through the
14 U.S. Army Medical Research Acquisition Activity.

15 So the background is that in the United
16 States, platelets, a critically important component of
17 our clotting mechanism, are collected and then stored
18 at room temperature. And because of the potential for
19 a small amount of bacterial contamination, the storage
20 at room temperature means they only can be stored for
21 a short period of time, typically five days before

1 they must either be used or discarded.

2 There are some provisions for extending
3 that storage time up to seven days if you test the
4 units individually for contamination. But because of
5 this short period of storage, many hospitals with
6 relatively low volumes of blood product usage are
7 unable to maintain platelets. In fact, 10 percent of
8 the hospitals that acquire red blood cells through the
9 American Red Cross actually don't even attempt to
10 acquire platelets.

11 That lack of availability of platelets
12 put patients with bleeding, either due to platelet
13 inadequacy or, for example, due to a major trauma at
14 tremendous risk for adverse outcomes. If we were able
15 to store platelets for a longer period, that would
16 substantially mitigate the challenges associated with
17 maintaining platelet availability in a variety of
18 areas, including austere settings.

19 So the objective of the CHIPS trial is
20 to demonstrate that platelets that are stored at 4
21 degrees centigrade, what we'll call "cold stored

1 platelets," are non-inferior or potentially even
2 superior in terms of their ability to treat active
3 bleeding, so-called hemostatic efficacy when compared
4 to standard room temperature platelets stored at 22
5 degrees. And I may refer to that as either "room
6 temperature platelets" or "warm platelets."

7 And we're going to evaluate that in
8 adult and pediatric patients who are requiring complex
9 surgery and who are actively bleeding as a result of
10 that surgery. This is not because that patient
11 population has any particular bleeding mechanism, but
12 this is a well-characterized setting in which to
13 evaluate hemostatic efficacy.

14 The secondary objective is to determine
15 the maximum storage time up to a potential of 21 days,
16 over which, the product maintains non-inferiority when
17 compared to room-temperature-stored platelets. So I
18 want to just take a second and circle back to why we
19 use adaptive approaches in many settings in which we
20 have sparse information related to key components of a
21 clinic trial design.

1 So I think many of us have had the
2 experience that when we are designing a clinic trial,
3 we almost never have enough information in order to
4 know the optimal design. And specifically in this
5 case, we don't know how long you can store platelets
6 over a relatively wide range of uncertainty, say, 7 to
7 21 days, so we don't know what is the storage duration
8 over which we ought to be exploring the hemostatic
9 efficacy.

10 But once patients are enrolled in a
11 clinical trial, data start to accumulate that reduce
12 that uncertainty that existed at the time of the
13 design of the trial. An adaptive trial is designed to
14 take advantage of that stream of initially sparse but
15 increasing information in order to make changes
16 according to pre-specified rules and to mitigate some
17 of the risks that were associated with the initial
18 uncertainty.

19 In certain cases, that can increase the
20 probability of getting the right answer at the end of
21 the trial or improve the trial efficiency. So

1 specifically in this case, we're going to be using
2 incoming data to help us know where we should be
3 focusing our attention on the continuum of the storage
4 duration of platelets.

5 So an adaptive trial can be put into
6 this generic framework, where in the upper left, we
7 begin with initial set of sampling rules. So, for
8 example, we might start with a particular storage
9 duration or a particular randomization ratio. We take
10 a first look at the data and analyze the data, ask if
11 there's a good reason to stop the trial; and if not,
12 we may revise randomization sampling or other rules in
13 response to the partial accumulated information at the
14 time of that interim analysis.

15 We then continue with additional data
16 collection according to those new rules. That process
17 can continue in a circular fashion until we reach a
18 reason for stopping the trial; for example, being able
19 to draw a firm conclusion regarding efficacy or
20 inferiority or reaching the maximum of sample size
21 planned for the trial.

1 So we're going to place the CHIPS trial
2 design into this framework. Now, the CHIPS design
3 itself is a fixed randomization trial with two to one
4 randomization of cold-stored platelets to room
5 temperature platelets in order to increase the both
6 experience and safety database associated with
7 cold-stored platelets and because we need to explore
8 the storage duration relationship to hemostatic
9 efficacy.

10 The primary endpoint is a fixed-point
11 bleeding score, a hemostatic efficacy score, and
12 importantly, lower scores are better: 1 is good, 5 is
13 bad. The two arms are treated differently because we
14 consider room temperature platelets to be a
15 homogeneous treatment.

16 Even though platelets can be stored
17 from zero to five days typically, the processing time
18 means that most room temperature platelets are three
19 to five days old at the time of transfusion, so we
20 consider those to be a single treatment. In contrast,
21 when you receive cold-stored platelets as a patient,

1 you typically receive a set of platelets that will
2 have a storage duration, and you may have another
3 number of units of platelets that have a different
4 storage duration.

5 So we're going to characterize the
6 treatment of a patient by the weighted mean storage
7 duration of the administered cold-stored platelets.

8 So in the room temperature arm, platelets don't have
9 an age, but in the cold-stored arm, they do have an
10 age that's defined by this average of the age of the
11 platelets administered.

12 It's a non-inferiority trial because
13 the advantages in terms of the ability to store the
14 platelets mean that this would be an important part of
15 our options for treating these patients, even if they
16 were not quite as effective as room temperature
17 platelets, and the non-inferiority margin is one unit
18 on the bleeding score.

19 And we're going to demonstrate type 1
20 error control through simulation. We're going to have
21 some adaptive rules for changing the maximum storage

1 duration of cold-stored platelets as the trial
2 progresses, and the trial is designed with a fixed
3 maximum sample size with 1,000 patients and interim
4 analyses after every 200 patients. That's the overall
5 structure.

6 The underlying inferential model
7 assumes that the hemostatic efficacy score, the mean
8 hemostatic efficacy score for cold-stored platelets is
9 a function of the storage duration X , where, as I said
10 earlier, a smaller score is better. We'll let μ_{sub}
11 one be the true mean hemostatic efficacy score for
12 room temperature platelets, which is a single number.
13 It's not dependent on the age of the warm-stored
14 platelets.

15 The efficacy of the cold-stored
16 platelets is modeled as a monotonic piecewise linear
17 regression model. The monotonic there I call the
18 "this is not wine" assumption: We assume that
19 platelets do not get better with age. So it assumes
20 that as the platelets are stored longer, their
21 hemostatic efficacy will remain the same, or it will

1 increase.

2 The null hypothesis is that there is no
3 storage duration for which the hemostatic effect of
4 cold-stored platelets is within one unit of that of
5 warm-stored platelets, and the alternative hypothesis
6 is that there is some storage duration of seven days
7 or greater for which the hemostatic efficacy of the
8 cold-stored platelets is non-inferior to warm-stored
9 platelets.

10 At the end of the trial, if it does not
11 stop for futility -- so after 1,000 patients -- we
12 look for the longest duration of storage for which the
13 model-based prediction is that there is a 97.5 percent
14 posterior probability that the cold-stored platelets
15 are non-inferior to warm.

16 If that is met, then there is a gated
17 superiority hypothesis that has a more stringent
18 criteria of 98.3 posterior probability. And it also
19 has a requirement for super superiority. That's
20 denoted by the little delta sub X, which is required
21 to maintain type 1 error control for the superiority

1 hypothesis because of the monotonic assumption that's
2 built into the model. Again, that parameter is
3 determined through simulation.

4 At each interim analysis, we want to
5 have an opportunity to alter the maximum cold-stored
6 duration. So at each interim analysis, we asked based
7 on the current model for the relationship between
8 storage duration and hemostatic efficacy, what is the
9 longest duration for which we predict there is a least
10 33 percent or one-third chance that that storage
11 duration is truly non-inferior, and we consider that a
12 candidate for a new maximum duration of storage.

13 If that candidate is less than seven
14 days and the probability of non-inferiority at seven
15 days is less than 10 percent, the trial stops for
16 futility. So that says, if we can't store platelets
17 for at least seven days in the cold and have any
18 reasonable chance they are non-inferior, then we stop
19 the trial.

20 But if the candidate duration of
21 maximum storage is greater or equal to seven days,

1 then we take the minimum of three possibilities for
2 the new storage duration for the next 200 patients:
3 either the candidate time of storage itself, the
4 maximum duration plus 5 days, or 21 days.

5 What these rules mean is that for
6 whatever the current maximum storage duration is, we
7 can only increase by up to five days. We cannot go
8 over 21 days, and we cannot go past a storage duration
9 for which there's isn't a least a one-third
10 probability of non-inferiority. There is no early
11 stopping for success in this trial design.

12 So I want to take a second to show you
13 what some simulated data might look like because this
14 will be important for understanding how the trial
15 plays out. So on the left side of the graph, you see
16 a pink dot around 2, that is the mean observed
17 hemostatic effect of the warm-stored platelets, and
18 there's some uncertainty around that estimate, and you
19 can see the faints dots for the number of participants
20 whose hemostatic efficacy has been the integral of
21 values 1, 2, 3, 4, and 5.

1 If you take the observed hemostatic
2 efficacy of the warm-stored platelets and you add one,
3 you get the non-inferiority margin, which is showed by
4 the horizontal yellowish line. And then for the
5 cold-stored platelets in the simulated data, you see
6 that there's data out to approximately 17, 18 days of
7 storage.

8 And there are mean hemostatic efficacy
9 scores that have been observed from the data shown by
10 the orange dots of various size related to the number
11 of platelets at those various time points in a fitted
12 line based on this monotonically increasing model.

13 The goal of the design is to identify where that
14 fitted line crosses the non-inferiority margin, which
15 will reflect the maximum storage duration for which
16 the cold-stored platelets maintain non-inferiority.

17 So going back to the overall structure
18 of an adaptive trial, we're going to start with a
19 maximum cold-storage duration of seven days, the same
20 duration that's allowed for warm-stored platelets if
21 they are tested for their sterility during their

1 storage period.

2 We're going to start with a first
3 interim analysis after 200 participants. We're going
4 to fit this model for the hemostatic efficacy of the
5 cold-stored platelets as a function of the duration of
6 storage. We're going to make sure that the seven-day
7 storage duration has at least a 10 percent probability
8 of non-inferiority. That's the futility rule.

9 And then we're going to apply these
10 rules at each interim analysis to revise the maximum
11 storage duration with the hope that, over time, it
12 will gradually increase. As in a duration-finding
13 experiment, we find the maximum storage duration that
14 maintains non-inferiority.

15 Once we get to 1,000, then we will find
16 the longest storage duration in which the probability
17 of non-inferiority is greater than 97.5, and that will
18 be the primary result of the trial. And if that is
19 positive, we will also evaluate for super superiority
20 against the more stringent posterior probability
21 cutoff.

1 So here's an example trial based on
2 simulated data to show how this plays out. I want to
3 take a second to orient you to this graph. So in the
4 upper left panel, the structure is the same as was in
5 the simulated data I showed earlier, but at the start
6 of the trial -- this is after the first 200
7 subjects -- we've only been allowing the cold-stored
8 platelets to be stored up to seven days, so we only
9 have data to support the model fit out to seven days.

10 Because of that, you can see there's
11 tremendous uncertainty if we try to extrapolate those
12 sparse data out to longer storage duration times. In
13 the lower left, you see the number of subjects that
14 have been enrolled at each interim -- I'm sorry, the
15 range of cold-storage duration that has been -- that
16 is allowed up to that point.

17 In the middle of the bottom of the
18 slide, you see the fitted probability of
19 non-inferiority based on the model. And if you look
20 at the right-hand column in the table in the middle
21 bottom of the slide, you can see that for all of the

1 durations, the model says that the predicted -- excuse
2 me -- that the posterior probability of
3 non-inferiority is greater than a third.

4 So the model would say that it would be
5 acceptable to have your X candidate up to 21 days, but
6 our rule for increasing the storage duration is that
7 at no point can the number of days of storage increase
8 by more than five days.

9 So the result of this interim analysis
10 would be to, for the next 200 patients, have the
11 maximum length of duration be 12 days, which is the 7
12 days that we currently have data for, plus the 5 days,
13 which is the maximum step forward that we are allowed
14 to take.

15 The bottom right-hand panel shows you
16 the storage durations that have a probability of
17 non-inferiority of greater than the 33 percent shown
18 by the horizontal red line. And then the upper right
19 panel shows you the sample size in the various
20 categories, be either warm-storage duration or
21 cold-storage duration in various bins.

1 So if we let this trial go onto the
2 next 400 subjects -- excuse me, the two 400 hundred
3 subjects, you see that the current max cold-storage
4 duration was 12. We now have data in the interval
5 from 7 days to 12 days, as well as additional data up
6 to 7 days of cold storage; that decreases the
7 uncertainty.

8 Again, the model identifies all storage
9 durations as potentially having -- being non-inferior
10 with a probability greater than 0.3, but because we're
11 at 12 days, the maximum we're allowed to move to as
12 the storage duration is 12 plus 5, or 17. We enroll
13 an additional 200 patients for a total of 600.

14 And now, if you look at the middle of
15 the bottom of the slide in the table, you can see that
16 at 17 days of storage, there's a 45 percent
17 probability of non-inferiority, but by 18 days, it
18 drops below a third, so we were studying platelets out
19 to 17 days.

20 The model says you cannot increase the
21 maximum storage duration because 18 days has less than

1 a one-third probability of being non-inferior, so
2 we're going to continue with a maximum of 17 days of
3 storage, collect more data.

4 Here's after an additional 200
5 patients. The model-chosen duration at this point is
6 20 days because that's where the predicted probability
7 of non-inferiority falls less than 0.33. And then at
8 the end of the trial, these would be the final
9 results.

10 Now, it's important to note that the
11 precision in the estimate of the efficacy at the
12 longest storage durations is highly dependent on the
13 support for the model out at the right-hand side, and
14 that's going to be related to a comment that we
15 received from the FDA during the IND review of the
16 process.

17 Okay. So again, the primary trial
18 analysis after 1,000 patients is that either it's a
19 negative trial because there's no cold-storage
20 duration of 7 days or longer that is non-inferior, or
21 we identify a period of storage between 7 and 21 days

1 inclusive that is non-inferior, and then we can also
2 evaluate for superiority.

3 So what are the operating
4 characteristics of this design? In order to evaluate
5 the design, we take the design, and we make lots of
6 different assumptions regarding the true underlying
7 efficacy of cold-stored platelets as a function of
8 storage duration, run thousands of trials, and simply
9 count up the trials that get an answer that is
10 consistent with the underlying assumed truth or
11 inconsistent.

12 And I just want to point out that this
13 trial can get wrong answers in a number of different
14 ways. It can fail to identify a storage duration that
15 exists. It can identify a maximum storage duration
16 that is incorrect, meaning, the platelets would
17 actually be inferior, and it can have varying degrees
18 of accuracy in identifying the maximum storage
19 duration that maintains non-inferiority.

20 So in order to evaluate type 1 error
21 control, one has to come up with a variety of

1 scenarios regarding the possible true relationship
2 against which one wants to evaluate the performance of
3 the trial. So here, you see seven different assumed
4 relationships.

5 The horizontal dashed line is the
6 assumed hemostatic efficacy of warm platelets. That's
7 at 2. The non-inferiority margin, therefore, is at 3.
8 The broad gray line are the different assumed
9 relationships between maximum cold-storage duration
10 and the hemostatic efficacy of the cold-stored
11 platelets.

12 Note that every one of the gray lines
13 goes through the point at seven days and three; that's
14 the definition of the null hypothesis. And you can
15 picture that the more gradual the slope, the more
16 difficulty the trial is going to have identifying the
17 correct storage duration because there's many days
18 that are close to the non-inferiority.

19 Okay. So this graph captures both the
20 rate at which type 1 errors are made and the accuracy
21 and identifying the maximum non-inferior storage

1 duration. So the vertical axis is an expansion of the
2 distance between the efficacy of the warm-stored
3 platelets and the non-inferiority margin, which is a
4 value of one greater.

5 The solid red lines are the assumed
6 relationship between storage duration and hemostatic
7 efficacy for cold-stored platelets. The vertical
8 dashed line is the maximum storage duration that
9 maintain non-inferiority. The histograms, the blueish
10 histograms, show the relative frequency with which
11 simulated trials identify a maximum-storage duration
12 of the different numbers of days.

13 And as long as those fall below seven,
14 not including seven, those are negative trial results.
15 The type 1 errors are any case in which a blue
16 histogram falls on seven or greater, and as I'll show
17 at the end, it's controlled at a 0.025 level. The
18 futility rule is based on this calculation of the
19 posterior probability of non-inferiority at seven days
20 falling below 10 percent.

21 And under the particular null

1 hypothesis that's shown in the lower left, the
2 futility rule stops the trial about half of the time.
3 To evaluate power, one also needs a set of alternative
4 hypotheses. So in each one of these case, you may
5 note that the gray broad line that represents the
6 assumed efficacy of the cold-stored platelets falls
7 below; therefore, better hemostatic efficacy than the
8 non-inferiority margin at seven days.

9 The most difficult in identifying the
10 correct storage duration will be the more gradually
11 sloping curves, and we'll be able to see that. This
12 is the same presentation of the performance of the
13 trial for those alternative hypotheses. So starting
14 at the upper left, that's the situation in which
15 warm-stored and cold-stored platelets have exactly the
16 same efficacy and there's, in fact, no decay in that
17 efficacy for cold-stored platelets over time.

18 And you can see that the trial is very
19 efficient in correctly identifying that the maximum
20 storage duration of 21 days is the correct storage
21 duration. For the case in which there is a linear

1 relationship between storage duration and efficacy,
2 and it crosses at 16 days, you can see that the trial
3 identifies multiple different possible maximum storage
4 durations from the different simulated trials, ranging
5 from about 9 days up to 15 days and does not
6 overestimate with any appreciable frequency the
7 maximum storage duration.

8 The model is specifically structured to
9 avoid what we call "overcrossing," meaning,
10 identifying a storage duration that is too long, and
11 it would therefore put patients at risk for receiving
12 an inferior product from a hemostatic efficacy point
13 of view.

14 You can see for each of the subsequent
15 different alternative hypotheses, there's similar
16 behavior where the trial systematically is
17 conservative in estimating the maximum storage
18 duration, and there are broader distributions for
19 those curves in which there's more gradual crossing of
20 the non-inferiority margin in a more tightly clustered
21 set of findings across simulated trials when there is

1 a steeper relationship between storage duration and
2 hemostatic efficacy.

3 So if one simulates the trial thousands
4 of times and averages this, the behavior, this is the
5 operating characteristics table. And I just want to
6 point out that it's not just a question of type 1
7 error or power.

8 So in the first column, you have a
9 traditional power, and you can see that at the bottom
10 across those different potential shapes of the null
11 relationship, the type 1 error is controlled at less
12 than 0.025. For the alternative hypotheses, there is
13 excellent power for detecting the fact that there is a
14 storage duration greater than seven days for which the
15 hemostatic efficacy is non-inferior to warm-stored
16 platelets.

17 The second column, "Inferior patients,"
18 tells you the number of patients out of 1,000 who
19 received platelets that in fact were inferior because
20 they had been stored longer than the place at which
21 the two curves cross, and obviously, we want to keep

1 that number to a minimum because that reflects
2 potential risk to participants in the study.

3 The third column is the number of times
4 within -- excuse me -- the fraction of simulated
5 trials that gets the storage duration within three
6 days, which is the correct number or one or two less.
7 And overcrossing is the frequency with which the final
8 result for the trial includes a day of storage, even
9 one, for which the platelets would be inferior to the
10 warm-stored platelets. That's obviously something we
11 wanted to avoid.

12 So I just want to point out that the
13 original letter on the IND in which we removed the
14 clinical hold had a specific recommendation that we
15 try very hard to maximize the number of subjects
16 exposed to platelets at the longest cold-storage
17 duration in order to maintain the data support for the
18 model out at that location in storage duration.

19 And I just want to point out that the
20 teams works incredibly hard to manage the platelet
21 inventory in order to maximize the exposure of the

1 longer cold-stored platelets, and that is quite a
2 challenging thing. The trial has enrolled incredibly
3 well.

4 I think a lot of people would be
5 jealous of the relationship between the actual
6 enrollment and the planned enrollment, and there's a
7 good distribution of ages in the patient population,
8 which should help in interpretability of the results.
9 The trial has just recently crossed 600 patients and
10 therefore will be conducting its third planned interim
11 analysis.

12 And I'd like to finish by making a
13 comment about an external event led by the regulatory
14 agency that caused us to change the way the trial was
15 being conducted. So in June of 2023, the FDA released
16 a guidance that essentially made it acceptable to
17 store platelets for up to 14 days when conventional
18 platelets are not available or their use is not
19 practical. And the language is very specific, but it
20 essentially says that up to 14 days is okay.

21 At that time, the trial, if it had been

1 increasing its storage duration as designed, would've
2 had a maximum storage duration of 7 to 12 days; it
3 would've been somewhere in that range. So the FDA
4 guidance immediately set an upper limit on storage
5 duration greater than the storage duration that the
6 trial could have gotten to.

7 I did appreciate the guidance
8 specifically mentions this trial, and I took this as
9 an endorsement of the importance of completing the
10 trial as planned. So in response to that, the DSNB
11 for the trial reviewed a request from the investigator
12 team to immediately increase the maximum storage
13 duration up to 14 days, even though the design could
14 only have gotten to 12 days at that point if
15 everything had been accelerating or progressing as
16 rapidly as possible. And the DSNB approved that
17 request.

18 This did not leak efficacy information
19 because that decision was based on external
20 information not related to the trial, whereas, if the
21 investigator team had been told the maximum storage

1 duration based on the algorithm within the trial, that
2 would have leaked efficacy information.

3 So I'd like to stop there. There's a
4 number of references that give the original design and
5 talk about the trial. And, again, I'd like to thank
6 the agency for their support in getting this trial
7 going, and I look forward to its results.

8 Thank you, John.

9 DR. SCOTT: Thanks, Dr. Lewis. Please
10 don't leave yet.

11 DR. LEWIS: Don't go.

12 DR. SCOTT: So I think it's a very
13 interesting design. I wanted to turn to the panelists
14 and see if anybody had any questions or comments
15 before turning to others.

16 Dr. Follmann?

17 DR. FOLLMANN: Yeah, thanks.

18 I thought it was a really nice design.
19 It reminded me a little bit of some studies infectious
20 diseases where you might randomize people when to
21 start ART. And so there's this duration question and

1 also studies that look at the duration of TB therapy
2 where you want to find the sweet spot, and you're sort
3 of pushing the envelope on what the duration is.

4 So I had a couple points. One is, you
5 know, a lot of times when we're doing non-inferiority
6 trials, we struggle with the margin, and I'd be
7 curious about how you got the margin of one. And the
8 other comment is that you don't randomize to the
9 duration, of course, and so there's, I guess, a
10 potential for a bias to creep in where if, as time
11 goes by, you get slow bleeders later in the study
12 compared to early.

13 And so the slow bleeders get the longer
14 durations, and then you're comparing them to the
15 lumped control group, which you have slow and fast
16 bleeders. So I just wondered if, you know, there was
17 thought about the concern of a secular trend and sort
18 of, you know, confounded with longer durations in the
19 duration arm in the cold-storage arm. But overall, I
20 was just really impressed with it. I thought it was
21 just soup-to-nuts really great.

1 DR. LEWIS: Great. Thank you very
2 much. So the model in which the cold platelets are
3 being evaluated of cardiothoracic surgery has a
4 variety of different bleeding endpoints that you can
5 be used. Chest tube output is a standard one.

6 This is a clinical bleeding score that
7 reflects both quantitative measures such as chest tube
8 output and also the surgeon's experience with a
9 surgical bed during the operation and bleeding during
10 the next 24 hours. I think that over the duration of
11 time that this trial is being conducted, the
12 likelihood of significant secular trends affecting
13 sort of the clinical impression of the bleeding score
14 is unlikely, certainly not impossible.

15 To my knowledge, there have not been
16 any changes in clinical care practice or the use of
17 ancillary treatments of bleeding during the trial.
18 With respect to the non-inferiority margin, because
19 the goal here is to improve the availability of
20 platelets in both rural hospitals and potentially
21 other austere settings, the non-inferiority margin is

1 really a value adjustment related to what is the
2 minimum efficacy of a product that would make it a
3 useful adjunct to controlling bleeding in these
4 setting in which no platelets are currently available.
5 And so it's a value judgment of the investigator and
6 blood banking team.

7 DR. LEE: Yeah, Roger, yeah. Yeah, I
8 think this is a excellent example illustrate the
9 complexity and adaptivity needed, you know, for a
10 trial like this, like in the CID setting. And thank
11 you for the very comprehensive and thoughtful design.

12 My general question is that, we can
13 think about CID as a very complex machine with many
14 knobs you can adjust, right. So you like to have
15 the -- and the output is also complex. It's not just
16 one-dimensional output, right. So, for example, you
17 like to, say, if a noodle machine make noodles, right,
18 and then there are many sent input and many knobs to
19 adjust. And the output, you know, there are also many
20 measurement of the output.

21 So my question is that, during

1 this -- so of course you have certain essential design
2 characteristic you like to achieve, like to control
3 type 1 error rate and reach a certain power, but
4 beyond that, you know, there's some criteria that you
5 would look for "optimize" the trial.

6 And, again, you know, in a very simple
7 setting, there are many optimal design available, but
8 in a complex setting like this, maybe there's no one
9 single criteria that you try to optimize, okay,
10 and -- but there are many knob you can turn, right.

11 For example, how many interim analyses
12 when you do the interim analysis, right. And then
13 once a confidence -- put a really confidence level,
14 you know, for futility and for the clear efficacy,
15 right, and randomization ratio, right, so there are
16 many, many things you can adjust.

17 So my general question for the CID
18 design is that this is a setting -- will illustrate
19 the importance of adaptation, okay. But then at the
20 end, you know, other than meeting the minimum kind of
21 criteria, what do you need to look for to "optimize"

1 the trial design?

2 DR. LEWIS: So first, and I think this
3 was implied by your question, but I want to state it
4 explicitly. All of the knob turning and adjustment
5 has to occur before you finalize the design. And once
6 you start the trial, it needs to be a pre-specified
7 design. And when I -- I call it a rigid design, but
8 what's really rigid is the adaptation rules.

9 The question of -- excuse me -- of
10 optimization begs the question of, optimization for
11 who? Whose utility function are we optimizing? And
12 one of the things that, to me, makes this kind of
13 design activity most rewarding is the fact that it's
14 inherently multidisciplinary in nature.

15 So when you're trying to decide how
16 many interims, what the randomization ratio ought to
17 be or what your futility cutoff is, it really needs to
18 be not a statistical question in isolation, but it
19 needs to be a collaborative discussion among all of
20 the stakeholders and ideally that involves
21 investigators, clinicians, people, for example, in the

1 blood banking community who would be using the results
2 of this trial, maybe patient representatives and
3 others.

4 And it's really a consensus-based
5 process when you have come up with a set of
6 compromised settings for each of the knobs that
7 balances the performance, statistical and otherwise,
8 against the resource limitations, the complexity of
9 the design and complexity, both from a statistical
10 point of view and from an implementation point of
11 view.

12 So I think the -- one of the things
13 that I really enjoy about adaptive design is the fact
14 that it naturally brings these collaborative groups
15 together, and you actually get greater insights in
16 what optimal looks like than you do if the
17 statistician is working by themselves in designing the
18 trial.

19 DR. LEE: And also just quickly follow
20 up. You know, seems like it's a overpowered design,
21 right, and why do you do that?

1 DR. LEWIS: So the reason we do that is
2 because power is not the question, right. This is a
3 duration-finding trial. The value of this trial is
4 not primarily dictated by whether or not there is a
5 storage duration for which cold platelets are
6 non-inferior.

7 I think people who work in this area
8 believe that there is and, in fact, the FDA issuing a
9 guidance during the trial that says up to 14 days is
10 okay would suggest the agency believes they are a
11 reasonable option for the treatment of bleeding
12 patients.

13 And the guidance specifically
14 separates, just to be clear, the use of platelets for
15 bleeding versus the use of platelets for prophylaxis,
16 which are -- that's a different clinical question. So
17 the investigators and others clearly believe that the
18 right answer for the question of the overall outcome
19 of the trial is that we should be able to demonstrate
20 non-inferiority because it's likely to exist.

21 The question is, what is the maximum

1 storage duration? And if the maximum storage duration
2 is 21 days as opposed to 14, that has huge
3 implications both in civilian and military settings
4 for our ability to make this treatment available to
5 patients who need them. That's where the -- so the
6 accuracy and duration finding was a primary design
7 consideration.

8 DR. SCOTT: Thanks.

9 Steve, I saw your hand up. We have one
10 minute, though. Is it very quick?

11 DR. RUBERG: It's a quick comment. So
12 great study. I loved the graphics and tabulations of
13 the simulations, made it crystal clear about
14 controlling type 1 error, getting close to the true
15 estimate, so good job there. And then the only other
16 comment I make is, this is a duration-finding study.

17 And I'm thinking about how does this
18 maybe apply to dose-finding studies and maybe more
19 typical drug development where maybe there's a safety
20 issue with a drug, and you just don't quite know how
21 far to go.

1 So let's do a low dose, collect some
2 data, and then step it up and at some point, we'll
3 bump into the adverse event that people are worried
4 about or whatnot, but I do think what you've presented
5 is very good and perhaps could be generalized to, I'll
6 say, typical drug development dose-finding studies, so
7 that's all.

8 DR. LEWIS: Yeah. I don't think the
9 mathematics knows whether X is time and days or
10 milligrams. Thank you.

11 DR. SCOTT: Thanks again, Dr. Lewis.

12 So our next speaker is Dr. Karen Price
13 from Eli Lilly, and she's going to be talking to us
14 about a very interesting master protocol design for
15 chronic pain indications.

16 DR. PRICE: All right. Thank you so
17 much. It is great to be here. And just to echo what
18 Roger was saying, thank you for the invitation; really
19 excited and honored to represent this master protocol.
20 I'm going to start first with some acknowledgments.

21 This has been -- these are several of

1 complex, as we've been talking about and can slow
2 reviews, to the extent that we can make them more
3 interactive and help put into the hands of FDA
4 reviewers the ability to explore some of these
5 operating characteristics more efficiently will see a
6 lot of gains.

7 And so I just wanted to show that and
8 then talk a little bit about moving forward some
9 things that we need to consider to further advance
10 CID. Okay. So in terms of CPMP, just wanted to start
11 the importance of this case example. So chronic pain
12 is a public health crisis and is one of the main
13 reasons that people seek care.

14 It's estimated that in the U.S., over
15 20 percent of adults live with some form of chronic
16 pain. However, the probability of approval of novel
17 analgesics that have completed phase 1 is
18 significantly lower than for other novel drugs across
19 other diseases. And furthermore, the current
20 treatments are things such as opioids and nonsteroidal
21 anti-inflammatory medications, which lack efficacy or

1 have some safety concerns.

2 So one of the things, I think, wanted
3 to highlight here is that a lot of times when we think
4 about CID, people do think about rare diseases,
5 pediatrics, which was mentioned, and is certainly an
6 important place for considering these types of
7 designs.

8 But obviously in common disease states
9 as well, we see a lot of value and, furthermore, is
10 where we have a lot of data that we could really use
11 and enhance these designs, so great setting for us to
12 be as creative as possible to really help meet this
13 unmet need for patients.

14 There are a number of innovations that
15 can be brought to bear when steady and chronic pain as
16 you've heard what I'm going to focus on. And one of
17 the things we decided to do was this master protocol.
18 It served a number of -- it helped us in a number of
19 ways. One issue is oftentimes, phase 2 studies will
20 focus on one type of pain.

21 Depending on the sponsor and how that

1 progresses, if the pain type selected is maybe not
2 efficacious, the drug may be abandoned. And so where
3 it might have been successful in a different pain type
4 because it was only able to be tested in one -- again,
5 we see a lot of abandoned molecules.

6 So what we wanted to be able to do is
7 within the same protocol to test these three different
8 pain types, and they were selected to be diabetic
9 neuropathic pain, chronic lower back pain, and
10 osteoarthritis pain. And so we were able to look at a
11 variety of novel analgesics, and this resulted in
12 reducing the size and cost of the studies versus had
13 we done these independently and/or may not have even
14 been able to test some of these drugs in these
15 different pain types.

16 So this slide summarizes the framework.
17 You can see the three pain types coming down the rows
18 there: osteoarthritis, chronic lower back pain, and
19 diabetic peripheral neuropathic pain, really
20 representing three different types of pain, so they
21 were selected very purposefully, and a lot of

1 discussion went into selecting those pain types.

2 Across the columns, then, you can see
3 the different assets coming in. The protocol is
4 sufficiently flexible that a given asset does not have
5 to study all three pain types, most have. I believe
6 all have so far. It's not a requirement, but it is
7 allowed, and we have that flexibility to look at the
8 three pain types being enrolled simultaneously.

9 Again, hard to depict here, but these
10 are coming in. They can come in concurrently, or they
11 may be coming in completely separately. There can be
12 lag time and so forth, so it's an open protocol
13 allowing those molecules to come through.

14 I just wanted to share also kind of how
15 we set up this protocol. So there were three tiers in
16 this protocol. So we had the high-level master
17 protocol; that established the entry criteria for this
18 master protocol, the randomization scheme, what the
19 common -- the hypotheses that we were testing, the
20 advanced statistical modeling, and various operational
21 features were included in this master protocol.

1 It's really where we wanted to have the
2 standardization that would be required. The disease
3 state addenda, then that brought in the three
4 different pain types. So anything that was unique
5 where maybe we had an additional measure to be
6 evaluated for a certain pain type, that is represented
7 in the second tier there.

8 And then the intervention-specific
9 appendices, so that's where the drug information came
10 in. As I will talk about, we really wanted it to be
11 as standard as possible, but if there was some unique
12 feature for the drug, perhaps a drug-drug interaction
13 or some tox consideration that at this moment in time
14 needed to be different, that would be allowed.

15 But we did have a governing body
16 overseeing this protocol that would make those
17 decisions about whether or not that modification for a
18 specific intervention is necessary. And then this
19 just shows the flow of how we sort of named things and
20 had again this chronic pain master protocol, the three
21 disease state addenda, and then we can add pain types,

1 so it is flexible to allow for additional pain types
2 to come in if we decide to do that.

3 And then again, the
4 intervention-specific elements. Okay. So next I
5 wanted to talk about, then, was what were some of the
6 strategic considerations that we were thinking about
7 and highlighting the quote from the Woodcock-LaVange
8 paper from New England Journal. The common
9 denominator here was we wanted to answer more
10 questions more efficiently and in less time.

11 So what we decided to do from a
12 strategic perspective is we knew that we had several
13 molecules that were going to be coming forward. We
14 had a lot of opportunity in our portfolio and so
15 we -- but that they were going to be coming in at
16 different times, and we wanted to be able to make the
17 best decisions about which ones to move forward and in
18 what pain types.

19 This is a phase 2 proof-of-concept
20 study only, and so we are very specific that it is
21 about, you know, hitting it hard, understanding is

1 there a signal or not and proving that concept.

2 Following this would then be more robust dose finding,
3 ultimately moving onto phase 3.

4 So this particular design was not
5 constrained by registration requirements, although, of
6 course, we wanted to maximize transferability to phase
7 3. And as I mentioned, we would have phase 2B to
8 follow. We did limit sites to North America. And
9 then, as I mentioned, the master protocol structure is
10 established in allowing for that flexibility within
11 the ISAs as needed.

12 Some key features of this master
13 protocol. All of the ISAs have the same scale. It's
14 the numerical rating scale. This is the primary
15 endpoint across all three pain types and across all
16 molecules, which is really important. Again, pain is
17 a very subjective indication, and so we have the same
18 scale.

19 We had the same sites. We had the
20 same -- there was not a washout period, and everything
21 was consistent, which really helped to remove some of

1 the confounding factors that often enter when we're
2 looking at independent pain trials. There were other
3 scales: physical functioning, emotional functioning
4 and so forth.

5 We had standard data collection,
6 similar visit schedules, and then, as I had mentioned,
7 there was a master protocol team established to
8 analyze the efficacy data to make decisions about, as
9 I mentioned, any difference that an intervention might
10 need. Is that really necessary to make the difference
11 because we wanted to keep things as standard as we
12 could, while allowing proper flexibility where it was
13 absolutely necessary.

14 The primary efficacy analysis is a
15 Bayesian mixed model repeated measures. This was the
16 primary efficacy analysis. Again, it was using the
17 NRS. And what I wanted to emphasize here is the
18 primary critical success factor is in the framework as
19 you can see in that sort of second sub-bullet, the
20 probability that the treatment difference is less than
21 an effective interest, and it's less than because

1 negative is good here, so more negative.

2 The probability of that difference
3 exceeds a threshold that's again established before
4 the trial starts. And so we had this framework in
5 place at the master protocol level, but we recognized
6 that as an intervention comes in, the effective
7 interest might change over time.

8 In particular, if there are molecules
9 that are successful, then we need something more even
10 higher, perhaps, but then also that probability
11 threshold may evolve over time. So we allowed that
12 flexibility and just insist that it's specified prior
13 to that specific asset enters into or starts in their
14 ISAs.

15 All right. And some of this I've
16 talked about, but just maybe to restate and touch on a
17 couple of additional things, you know, anytime working
18 on a master protocol, it really is this tension
19 between what is going to be standard and what is
20 allowed to be flexible. And we were -- it was very
21 important given, as I mentioned, the subjective nature

1 of pain that we have as much standard as is possible.

2 So again, same primary endpoint. We
3 had the same probability of getting placebo, so 33
4 percent randomized to placebo across the ISAs. There
5 is a double-blind period of eight weeks, but the -- on
6 the other hand, if we have a molecule that's coming in
7 that is not able to be studied for eight weeks, we
8 could do -- we had the flexibility to allow it to be
9 studied for four weeks, and then it would be
10 double-blind placebo for the final four weeks just
11 maintaining that same treatment duration.

12 Again, common visit schedule and
13 inclusion criteria, but from a flexibility -- the ISA
14 can specify a sample size. The amount and type of
15 borrowing will obviously evolve over the course of the
16 study because as we're gaining more information, we'll
17 now have new information that we can utilize.

18 And then, as I mentioned, there can be
19 inclusion-exclusion changes or scales, visits added,
20 but governed by one group to make sure that it is
21 necessary. There's been a number of statistical

1 benefits from this. I think probably this top one is
2 one of the most important ones where we're able to
3 directly compare within and between pain types.

4 We had an advisory board before this,
5 the master protocol formally started, and one of the
6 members was commenting: How often do we wish a drug
7 was in the same protocol, and we didn't have to rely
8 on the debt analysis?

9 And that's exactly what we were looking
10 to achieve, that we can do indirect meta-analysis or
11 other forms of meta-analysis, but especially in the
12 context of pain, which is subjective, we wanted to
13 reduce a lot of the confounding factors. And it was a
14 lot of enthusiasm from our FDA counterparts during the
15 CID meetings about our ability to do this across pain
16 types.

17 Again, a lot of times will utilize
18 different endpoints, so maybe it's a VAS. How does
19 that compare to the NRS? It's therefore hard from a
20 meta-analysis standpoint to do that and/or the
21 consistent -- it's lacking consistency and collection

1 of safety and viral marker. And ultimately, the
2 master protocol affords the opportunity to reduce
3 sample size in both the active and placebo arms.

4 And so thus far, we have seen as
5 mentioned a great deal of impact from this trial,
6 including reduction and cost, reducing time from, say,
7 protocol approval to when a first patient is dosed,
8 time to data lock, time to decisions, and enrollment
9 time. Completed 12 proof-of-concept studies in 38
10 months, validated three novel targets.

11 And this is much shorter than what we
12 would see independently, but is also hard to fully
13 compare because, again, often, we may not get all
14 three pain types because if the first one isn't
15 successful, it may be abandoned, so -- okay.

16 So let me take a little bit of time,
17 then, and go into some of the statistical details. As
18 I mentioned, I'll focus on the borrowing conversations
19 we had and the simulation. For this master protocol,
20 there were three main sources of borrowing that we
21 considered.

1 The first source is not unique to the
2 master protocol, can always be considered. So we
3 spent more time talking about borrowing from these
4 second two, the ability to borrow from placebo
5 information, from another ISA within a pain type, and
6 then the opportunity to borrow treatment effect
7 information between pain types.

8 I'm not going to into a lot of detail
9 on the borrowing approaches. You'll hear more, I
10 believe, from the next speaker on how some of these
11 approaches work. What I will mention is that we
12 typically are thinking about two main buckets of
13 borrowing approaches, one being static, and that
14 includes things like pooling or power priors, dynamic
15 borrowing.

16 On the other hand, things like
17 hierarchical modeling, mixture priors or commensurate
18 priors. And there's an appeal for dynamic borrowing,
19 where what happens is that if the incoming data is
20 consistent with historical data, it will borrow more.
21 If it looks different, it will borrow less. And

1 toward the end of my presentation, I'll show an
2 example where you get sort of pooling versus the
3 hierarchical modeling. You can see that when the new
4 data comes in and is different.

5 So what we ended up doing, and as
6 you'll see later with the tool, is we were -- we spent
7 a lot of time talking through with FDA and comparing
8 things like pooling or power priors more static. On
9 the other extreme, separate, so not borrowing at all,
10 and then something "in-between," and so say that the
11 hierarchical modeling approach.

12 So that was where we spent a lot of
13 time understanding what were the -- how decisions
14 differ based on incoming data. When the rubber hit
15 the road or, you know, when actually, the reality of
16 conducting this trial and working with teams and data
17 coming in, there were a number of challenges that were
18 encountered, so certainly things we thought about
19 prior to the design.

20 But then, again, this is an ongoing
21 trial, and assets are coming in and going out and

1 things change. And so some of this we've sort of
2 figured out, others we haven't. So I think some of
3 these are still outstanding questions.

4 As I mentioned, you know, when
5 inclusion-exclusion criteria change necessarily, how
6 does that impact the ability to borrow? Do we use
7 pooled placebo or ISA and a safety review? We did
8 allow for patients to repeat enroll into later ISAs
9 after a proper washout period. What do you do with
10 that patient's information from earlier? Is it
11 borrowable? And what does that even mean?

12 So there was also hesitancy to borrow
13 from some team members, so there's a mixed feeling on
14 whether or not to borrow. And I think similarly at
15 FDA, right. When we encounter, there are different
16 viewpoints on the -- what should be borrowed and
17 whether or not to borrow. Again, what is the best
18 approach to borrow?

19 We spent a lot of time talking about
20 should we borrow across pain types and then also
21 thinking about placebo expectation bias and how does

1 that change over time and affect your ability to
2 borrow, so those were the borrowing approaches.

3 Again, we spent a lot of time
4 simulating these trials -- or this master protocol and
5 then looking at different ways the ISAs would come in
6 and the type of data that would be coming in. Some of
7 the key factors that -- so we did a lot of simulation
8 as part of CID before the trial started and then as an
9 asset comes in, additional simulations are conducted
10 given that now we have new data that's been completed
11 from earlier ISAs.

12 But before even the master protocol
13 started, we looked at different scenarios thinking
14 about how much placebo data could be available from
15 either completed or ongoing ISAs. What would the
16 different treatment effects between pain types that we
17 could observe, and how does that affect how it's being
18 borrowed?

19 We looked at scenarios where there
20 could be placebo drift that could occur. What we
21 would do, and how would we handle that? And we knew

1 that there was the possibility of having a different
2 route of administration. And so if something were,
3 say, injected or oral, or given more frequently, those
4 are things that can affect the subjective endpoint of
5 pain, so how would we handle that?

6 So we spent time looking at different
7 scenarios and working through those with the FDA as
8 part of our conversations, and we did think about
9 fixed versus longitudinal timepoint settings. This
10 slide just summarizes the types of things that we were
11 looking at in the CID conversations.

12 Very similar, I think, to quite a bit
13 you heard in the earlier talk: power false positive,
14 bias, standard error, understanding the operating
15 characteristics, as I mentioned, when the underlying
16 true placebo response shifts over time. And again,
17 that could be due to some new drug that is approved,
18 you know, what could happen to affect that true
19 placebo response. Benefits of power increase or
20 sample size reduction.

21 We explore the various ISA initiation

1 and lag times in enrollment, dropout rates, as well as
2 the quantity of data that would be available. All
3 right. And then the final thing I wanted to touch on
4 with the -- on the simulation front before concluding
5 here is I wanted to touch on this R Shiny application
6 that was a feature of our CID pilot program
7 conversations.

8 And as I mentioned at the beginning,
9 the simulations are -- there's a lot of simulation
10 output and typically, it's provided in paper. We may
11 not provide a scenario the FDA is interested in, and
12 then there's an iterative nature there where we're
13 providing that.

14 And so what we really wanted to do was
15 to build something that could be more interactive,
16 both for us, but also for FDA to evaluate this design,
17 reduce the number of -- amount of paper that's
18 required and provide more interactive visualizations.

19 And with the ultimate goal that I
20 think -- and maybe part of what we'll talk about more
21 this afternoon is the importance of modernizing this

1 collaboration to help speed the review of simulations
2 with -- and just to continue to use the best
3 computation we have, the best tools and technology to
4 make this easier on everyone, so we can really get to
5 the heart of the matter and really have those
6 cross-functional conversations that Dr. Lewis was
7 talking about.

8 That's really where we want to be
9 spending our time, not trying to make sure we're
10 reading a table correctly, but rather really getting
11 into those great cross-functional discussions. And so
12 more interactive simulation output we think could be
13 very helpful. This application you'll see in a
14 moment -- and I just have a short video demo. It's
15 more just to show what we mean.

16 The specific application has two main
17 parts. One is if you have -- you may want to look at
18 a single realization of a master protocol. So suppose
19 you're really interested in, how would I -- what would
20 be the output, the inference made in terms of the
21 probability of achieving a CSF under a certain given

1 single scenario.

2 So it fits a model you can look at
3 different amounts of historical data that would be
4 available, and so that's one part. And then the other
5 part is it simulates multiple trials, again, to
6 evaluate those operating characteristics. And so the
7 user can enter data from completed ISAs, simulate
8 future ISAs, vary the model prior, and so forth. This
9 is just an example.

10 Again, the -- actually what it would
11 look like can vary. And the intent here is not to go
12 through this whole thing. I'm about to start it in
13 just a minute, and you'll see the interactive nature
14 of it, but the idea here is, again, to give the user
15 the ability to conduct, look at scenarios, or look at
16 situations they may be interested in.

17 Again, this first part is the model and
18 the priors. And so you can see there's click down
19 boxes to specify the model, prior distribution for
20 tau, for the hierarchical model that's on the placebo
21 effect, and there's a treatment effect prior as well.

1 And then, as I mentioned, the user can enter the
2 example data, and it will run and provide the
3 posterior distributions, and you can zoom in.

4 Here, you're seeing zooming into and
5 looking at the different borrowing approaches.

6 Further down, we can look at the probability of
7 achieving the critical success factor, maybe the user
8 wants to look at different effects of interest or
9 probability thresholds.

10 And the green there -- I think what's
11 really nice about this is you can see which borrowing
12 approaches meet the CSF versus those that don't, so
13 you can see where a different borrowing approach is
14 yielding a different outcome.

15 There are some diagnostics that are
16 included here, so we can pick the parameter you're
17 interested, check the diagnostics, and then you do get
18 the actual parameter estimates table with posterior
19 means, standard deviations, and so forth.

20 Now, we're going to look at simulation.

21 So here we have simulation scenarios that have been

1 included, and this is quite -- the user can play
2 around with this and then run the simulations. Kermit
3 the Frog is going to help us run those in the
4 background, and then provide the output to the user.
5 Again, these scenarios on the upper left-hand side,
6 those are what the -- you can enter different
7 scenarios that you may be interested in.

8 Here, we're just selecting a parameter
9 and, again, you can determine which parameters you're
10 most interested in and then look at the operating
11 characteristics. And then finally, the bottom portion
12 here, and this is where I'll touch on something I
13 mentioned earlier.

14 We're looking at -- and you have
15 different borrowing approaches, and we're looking at
16 the probability of achieving the CSF. In this case,
17 we did use a scenario where the historical placebo
18 information was very different from the incoming
19 placebo information.

20 And so as you click through the
21 different borrowing approaches, you'll see the

1 differences. And then as we scroll down, here we're
2 looking across all of the borrowing approaches that
3 were considered. You can see in that middle part that
4 that pooling is resulting in a very different outcome
5 versus the hierarchical, which is on the left; or
6 separate, which is on the right, showing us that the
7 hierarchical model is in fact borrowing less in this
8 case because that new data is different.

9 So just, again, an interesting scenario
10 and the type of things that can be evaluated through
11 an app like this. And then couple things finally, and
12 as we to conclude here, generally, our experience with
13 the CID pilot program, there were very positive
14 interactions between Lilly and the FDA.

15 Absolutely improved the master
16 protocol, and we think advanced how we're thinking
17 about borrowing of information, master protocols, and
18 simulations. So again, very collaborative, progressed
19 how we could communicate these methods, simulation
20 plans and results.

21 And because they were so positive, we

1 do need to continue to have these pathways and avenues
2 to have these types of collaborative discussions.
3 Really to the extent that we can have some informal
4 pathways as well, which this was -- while it was
5 formal, it had a more informal feeling because we were
6 talking about the different methods, talking about
7 what data sources might be useful, these sorts of
8 things.

9 Again, I touched on the R Shiny
10 collaboration. In terms of opportunities for
11 improvement, I think our biggest recommendations would
12 be the timeline is long. So it was
13 actually -- because of the situation going on at Lilly
14 with the assets that were coming in, we did not delay
15 anything by doing this, but if in normal cases, we
16 would not have been able to probably go this route
17 because it is a timely process.

18 So we knew well in advance what was
19 coming and therefore sort of had the luxury, if you
20 will, of going through this. We do think that it
21 would be great to have also opportunities especially

1 for something like a master protocol, but any
2 design -- as data comes in, what are we learning?

3 And I realize this forum is part of
4 that, but having some more conversations with sponsor
5 and FDA continuing, we think would be very helpful to
6 continue to share learnings. And then ensuring that
7 we continue to have consistency in those attendings.
8 And so finally, what are some things that we can think
9 about moving forward?

10 And maybe part of what we'll talk
11 about -- you know, how do we continue to share
12 learnings across divisions? We have taken additional
13 master protocols into other divisions, and there are
14 different types of feedback that's being provided.

15 And so how can we have more consistent
16 across divisions in terms of the feedback and the
17 expectations. I mentioned improving the
18 infrastructure, continuing to look for cutting-edge
19 technology to enable us to be faster as we evaluate
20 simulation results and interactivity.

21 I've already touched on the meeting

1 schedules that can accommodate the speed that's
2 needed, improving the education of statisticians and
3 medical, both again in sponsors as well as at FDA.
4 And then some other things that will continue to play
5 into how do we advance these innovative designs?

6 What's the role of AI/ML, other new
7 technologies? And then how does the fact that there's
8 so much move towards decentralized trials and digital
9 health technologies. How does that affect how we
10 borrow, if we borrow, these types of designs, so
11 things to think about. So with that, I will close.

12 Thank you.

13 DR. SCOTT: Thanks so much, Dr. Price.
14 Would anyone on the panel like to comment or have a
15 question?

16 Dr. Ruberg?

17 DR. RUBERG: Well, that's complex and
18 innovative. So I'm just wondering. You had different
19 probability thresholds and effect sizes in your
20 decision criteria. I'm just wondering if you can talk
21 a little bit more about so how are decisions made.

1 You know, one asset completes
2 osteoarthritis before it completes lower back pain
3 and -- or they all complete at the same time. In one
4 disease state, you meet the critical success factor,
5 one you fail miserably, one's right on the borderline.
6 Can you talk about the complexities of the
7 decision-making about --

8 DR. PRICE: Sure.

9 DR. RUBERG: -- what goes forward or
10 what gets held back, or of these three assets, this
11 one has the biggest probability of having a clinically
12 meaningful effect? And I was just wondering, it's not
13 only complex in its setup and the analysis options,
14 but also in the decision-making framework.

15 DR. PRICE: Absolutely.

16 DR. RUBERG: So a little -- maybe a
17 little --

18 DR. PRICE: Sure.

19 DR. RUBERG: -- bit about how that
20 worked out, or how it is working out, I should say.

21 DR. PRICE: Yeah, sure. So yes, it is

1 complicated. I think this is where having that
2 centralized group has been vitally important. So we
3 have one group who's overseeing this master protocol
4 and of course, there is some rotation, but you have
5 some members who've consistently seen this protocol,
6 know it inside and out, so that has helped.

7 It typically, in terms of your question
8 on the timing, there is some lag that can happen
9 where, like you said, maybe OA pain finishes and then
10 a little bit later chronic lower back pain, but we
11 know well in advance when these things will happen,
12 and so there are decisions made before we start seeing
13 data.

14 Are we going to pull the trigger on if
15 it's highly positive? Are we going to go pull the
16 trigger on the next phase 2B, or are we going to wait
17 for the data? So that is -- the importance of making
18 these decisions before we see data, I cannot say that
19 enough. You know, once you start seeing data, then it
20 gets very confusing.

21 So we have basically a preplanned,

1 here's how it's going to be communicated; here's how
2 we're going to make the decision. What's the level of
3 efficacy required in order to do that phase 2B
4 versus -- so there could even be different thresholds
5 for different decisions.

6 So I think I said the main thing would
7 be preplanning that, understanding the timing. If
8 it's a few weeks, it's probably worth waiting. If
9 it's going to be a while, then maybe we need to do
10 something different.

11 So really, like I said, the centralized
12 group, preplanning, and understanding how does it
13 impact -- the last thing I'll say is sometimes that
14 maybe we say, okay, we think we're going to move this
15 one forward, so we start sort of planning that trial,
16 but we're not going to do too much until the next
17 thing comes out. Lot of things to think about.

18 DR. SCOTT: Dr. Lee?

19 DR. LEE: Yes. Hi, Karen. I really
20 like your Shiny app.

21 DR. PRICE: Thank you.

1 DR. LEE: Okay. And I think it really
2 is a very good tool to enhance the collaboration and
3 even communication with FDA. So the question I have
4 is that -- I'm sure this is not probably available
5 yet; right? But a certain point, it'll be great that
6 if you can turn it to a more general-purpose kind of a
7 tool, as an education tool and maybe publish it and so
8 that people can learn how to do this. So any plan for
9 that?

10 DR. PRICE: Yeah. Well, so I think
11 there are -- first of all, I should acknowledgment
12 people like Eric Nantz and Michael Sonksen for working
13 on that. Eric Nantz is very active in the R community
14 and is working with counterparts at FDA to really
15 understand what technology is required.

16 And so it's not just Lilly; right? It
17 would be -- these are more
18 scientific-working-group-type opportunities where
19 they're looking to see what technology does the FDA
20 have? What technology would the FDA need? Because
21 that could certainly be part of some future PDUFA

1 negotiation or whatever the case may be as we need to
2 advance this to allow for both FDA and sponsors to
3 have it. So yes, a lot going on. And there are
4 things that could be available to do this, but
5 appreciate the feedback, and we should continue to try
6 to push that.

7 DR. SCOTT: Dr. Lewis?

8 DR. LEWIS: So on the same theme, I
9 think one of the more challenging discussions people
10 often have in discussing designs that use borrowing
11 has to do with a choice of the hyperprior that's used
12 for higher -- for dynamic borrowing with a
13 hierarchical model.

14 And I really like that you were able to
15 show with the app the performance in a specific
16 situation where the historical data did not well match
17 the data that was coming in currently. But there's
18 the challenge in picking the hyperprior is that you
19 have to account both for that situation where you have
20 discordant information and the opposite situation
21 which might occur where the information is highly

1 concordant.

2 I'm wondering whether you've thought of
3 a display that shows both of those simultaneously so a
4 person can just glance sort of at a prior picking
5 dashboard and sort of see where it does well and where
6 it doesn't because we've struggled, frankly, in
7 figuring out how to communicate the implication of
8 these choices to these collaborative design teams.

9 DR. PRICE: That's great.

10 DR. LEWIS: And make sure that we have
11 a prior that reflects, you know, the best balance
12 between performance when you have concordant data and
13 performance when you have discordant data.

14 DR. PRICE: So great. We'll think
15 about it, I guess, is the -- yeah. Currently, the
16 scenario is entered. We've evaluated another
17 scenario. And so we look at -- to your point, we look
18 at -- everything looks great; things don't look so
19 great. But to do that all in one, yeah, we'll think
20 about it and get something in there.

21 DR. FOLLMANN: Yeah. I guess I'm also

1 a fan of the Shiny app. What I particularly liked was
2 when you could have no borrowing and get the posterior
3 distribution for that to compare it to various levels
4 of borrowing, so it's sort of made visually and
5 precisely how much, you know, the borrowing was
6 contributing.

7 Had a comment, I guess, inspired by an
8 earlier question. So you have a particular drug and
9 you might be evaluating in back and osteoarthritis,
10 and you might fill up the -- you might stop
11 randomizing in the back, but continue osteoarthritis.
12 Is that how it might play out, or do you just keep
13 randomizing until you get the answer for that drug?

14 DR. PRICE: Oh, so what happens -- let
15 me -- what I think you're asking. So there's a
16 preplanned sample size for each pain type. And so
17 the -- what you were seeing there, those were all
18 simulations or made-up examples. And then the trial,
19 let's say that we're going to have a couple hundred
20 patients in a given ISAs randomized two to one, we
21 enroll until that is done for that ISA.

1 But now, if osteoarthritis is ahead of
2 chronic or back pain, we don't keep enrolling
3 osteoarthritis. Once it's done, it would stop, so
4 that's why they can finish differently.

5 DR. FOLLMANN: Right. Well, then just
6 a minor comment. So osteoarthritis might stop and you
7 continue enrolling, you could in theory sort of borrow
8 into the future where you get placebo group for
9 osteoarthritis --

10 DR. PRICE: Yes.

11 DR. FOLLMANN: -- that was concurrently
12 enrolled, so --

13 DR. PRICE: That is correct.

14 DR. FOLLMANN: Yeah.

15 DR. PRICE: Yes, yep, that's exactly
16 right.

17 DR. SCOTT: So we are almost at time.
18 Dr. Price, just one quick question from me. I hope
19 it's quick. You mentioned some internal hesitancy to
20 borrowing. What was the nature of that hesitancy?
21 Was it scientific or strategic or regulatory?

1 DR. PRICE: I believe it's probably
2 a -- in the case because it was -- this is a
3 proof-of-concept, I don't think it was so much
4 regulatory concern. This would be more if the
5 borrowing changes the decision; I'm concerned about
6 that. So it's more like a personal, maybe not fully
7 trusting the borrowing.

8 DR. SCOTT: Thanks, yeah. It's not
9 that dissimilar to some people internally -- that way,
10 yeah.

11 DR. PRICE: Yes, yes.

12 DR. SCOTT: Okay, all right. Thanks,
13 everybody, for two great talks and for your attention
14 and for the questions. We now have a 15-minute break,
15 and we will resume with Dr. Pang's talk at 10:50.
16 Thanks.

17 So thanks, everybody, for coming back
18 after the break. We'll give people a moment to get
19 settled. And in the meantime, I'll introduce our
20 third and final case study for the morning, and then
21 it will be lunch. Herb Pang from Genentech/Roche is

1 going to be talking about their CID case study
2 involving the use of a hybrid control in diffuse
3 B-cell lymphoma.

4 Dr. Pang?

5 DR. PANG: Thank you, John. And
6 thanks, everyone, for coming. And also just like
7 Karen and Roger, I would like to thank the organizers
8 for the invitation. So today, as mentioned by John,
9 we'll talk about a case study of hybrid control design
10 in diffuse B-cell lymphoma.

11 First, I will briefly go over some
12 introduction about the study designs with external
13 controls and then follow up very shortly talking about
14 the CID pilot program as well as the timeline, and
15 we'll go into details about the Genentech/Roche CID
16 pilot.

17 After that, we will also cover hybrid
18 control ongoing research, and I will also conclude
19 with a summary. As you may know, for study designs
20 using external controls, there could be different ways
21 you can go about doing it. On the left-hand side,

1 there's threshold crossing benchmarking approach,
2 where in the external control arm, you will have
3 applied randomized control trial inclusion and
4 exclusion criteria to have a restricted external
5 control arm, and then it would read out the outcome.

6 In the middle one, you have a
7 single-arm external control study where you have the
8 external experimental arm in combination with their
9 external control arm and in forming the cohort, but
10 then you would do covariate balancing or adjustments
11 so that you can make the comparison between the two.

12 And finally on the right-hand side, you
13 have a hybrid external control design, which is the
14 one that we will talk about today. You have 200, for
15 example, randomized control trial subjects, and then
16 you spread it into, for example, three to one
17 randomization and then having 150 in the experimental
18 arm, and then 50 in the control arm.

19 But unlike the typical randomized
20 control trial setting, you also incorporate and
21 augment the control arm with external controls. In

1 this case, for example, you have roughly a hundred.
2 And then you would run this trial and read the
3 outcome. Okay.

4 So in considering the choice of when to
5 do a hybrid design, you may think of two extremes: on
6 the left-hand side, you have a randomized clinical
7 control trial; and on the right-hand side, you have
8 your fully external control trial.

9 One factor is whether there's a medical
10 need. And for the randomized control trial setting,
11 you can think of it as if you have an effective
12 control available, it could be a good choice. On the
13 other hand, for the fully external control trial, it
14 could be that there's clear-med need; however, there's
15 no effective control available. And it is kind of
16 like a spectrum, and hybrid design would fall in
17 between.

18 For the target indication on the
19 left-hand side when you think about a randomized
20 control trial setting, you may think of things that
21 are more tied to first label or a new broadline

1 extension. And then for the fully external control,
2 you may have line extension and similar indication or
3 indication with well documented standard of care.
4 Choice of endpoint is also an important consideration.

5 So for the randomized control trial
6 setting, you may consider whether there's a specific
7 endpoint that you don't have data readily available
8 from external sources, then you would -- probably it's
9 wise to choose the randomized control design.

10 On the other hand, if you want to do
11 the fully external control part, you want to have a
12 robust endpoint where you have data available from the
13 external sources. For example, overall survival, et
14 cetera. So again, the hybrid design would fall in
15 between the two.

16 In terms of anticipated effect size is
17 also an important consideration. For the randomized
18 control setting, you may think about having it as in
19 cases where you have modest effect size anticipated
20 based on some observed prior information.

21 On the other hand, for the fully

1 external control study, you may want to have more
2 compelling effect size. And then finally for
3 population size, having a large population and no
4 changes in recruitment is probably an important
5 factor. You want to get the trial completed on time
6 and within a reasonable time frame so you can consider
7 NLCT.

8 On the other hand, for a fully external
9 control study, you may have potential issues with
10 recruitment or some ethical challenges, and you would
11 choose a fully external control. And again, for the
12 hybrid design, it's somewhere falling in between.

13 So as we may know, there are different
14 potential biases that can come from the use of
15 external control sources. These include, but not
16 limited to the set, such as selection bias, where
17 patients enrolled in clinical trials are different in
18 some ways compared to patients that are treated in
19 clinical practice.

20 Other biases could include calendar
21 time bias, where patients treated in the past do

1 differently than those treated today. Regional bias.
2 If you have enrollment of subjects, not just within
3 the U.S., but in other parts of the world that
4 patients coming from different regions could have
5 variations.

6 Assessment bias. Knowledge of therapy
7 that can influence assessment. And study bias would
8 be patients in clinical trials, they have different
9 outcomes than in clinical practice, for example,
10 placebo effect or different care. As I mentioned,
11 this is not an exhaustive list, so there are other
12 biases that you also need to consider.

13 I think the FDA also had a guidance
14 that provides some knowledge about these potential
15 biases, but framed under more the design setting,
16 which is also very important in terms of how to think
17 about these biases. Some important thinking going
18 behind how to mitigate potential biases to understand
19 the Pocock Criteria, which was developed many years
20 ago.

21 Receiving a precisely defined standard

1 treatment the same as randomized controls is one
2 important factor. Being part of a recent clinical
3 study which contain the same requirements for patient
4 eligibility is another one. Matters of treatment
5 evaluation should be the same.

6 Previous study must have been performed
7 in the same organization with large the same
8 investigators, as well as there must be no other
9 indications leading one to expect different results
10 between the randomized and the controls that are
11 historical.

12 Distribution of important patient
13 characteristics should be comparable in those in the
14 new study. So I think, earlier, Karen also touched
15 upon this about the dynamic borrowing design. So
16 let's take a look at this illustration on the
17 left-hand side.

18 At the top, or the extreme top, is the
19 no-borrow scenario where you only use the randomized
20 clinical trial. At the other extreme is the bottom,
21 which is borrow more, and that would be the case where

1 you consider full borrowing, where you just simply
2 pool the two controls together and then estimating the
3 treatment effect. And dynamic borrowing is somewhere
4 that falls in between these two extremes, so it
5 belongs to a spectrum.

6 And even within dynamic borrowing, you
7 can consider different types of prior that would be
8 more; for example, skeptical prior would be more
9 conservative. And then you can have a more aggressive
10 prior if you have more -- understand it will be more
11 optimistic on the external control.

12 And later on, we will illustrate in our
13 case how we consider choosing between these two. So
14 Bayesian method presents a natural way to handle
15 combination of data, external trial data can be use to
16 setting up the study prior, and dynamic borrowing
17 framework, as mentioned, could be an important,
18 allowing you to kind of understand the difference
19 between the internal and external control.

20 So when you have more differences
21 between internal and external controls, you will

1 likely have the prior which is more flat, so in those
2 instances, you would borrow less. And then on the
3 other hands, if you have more trust, then you have a
4 prior that has more, like, weight, and then that would
5 provide a borrowing scenario of borrowing more, so
6 that's the commensurate prior.

7 In the publication that was published
8 about ten years ago, they discussed dynamic borrowing
9 methods, were able to achieve similar power gains,
10 which is the color in green and blue. But then in the
11 full borrowing scenario, which is more extreme, that
12 we described earlier, which is in red, it has more
13 type 1 error inflation.

14 So the dynamic borrowing method can
15 achieve similar power while having better type 1 error
16 control than full borrowing. So I won't go into
17 detail about the CID program here because John already
18 described it very well. Just want to mention that it
19 has been a great opportunity and also a wonderful
20 experience for us to join the program.

21 So this is the typical timeline of the

1 CID program and the process how things happen, think.
2 And like the scenario that Karen had, I think in our
3 case, we actually needed more time in the end to do
4 the simulation, so it was actually us asking the FDA
5 to allow us to provide more comprehensive
6 understanding of the method for the second meeting,
7 and the FDA were very collaborative and flexible in
8 allowing us to meet later.

9 Why innovative design was needed for
10 our case? Unmet medical need, as described earlier,
11 in certain subgroup of DLBCL was the case, DLBCL is
12 more common non-Hodgkin's lymphoma worldwide with
13 25,000 newly diagnosed patients in the United States
14 annually.

15 And standard of care for first-line
16 DLBCL has been established many years ago and is well
17 characterized and well understood. Patients in
18 certain subgroup of DLBCL have a poor prognosis and
19 consequently, a high unmet medical need.

20 So borrowing patients from control of
21 another study can help us enroll fewer patients in the

1 control regiment, allow us to shorten the study time,
2 and also conduct more efficient trial by sharing
3 control between trials. Here was the timeline of the
4 brief phase 3 of development for the first-line DLBCL
5 and pathway to the CID pilot.

6 And initially, we receive encouraging
7 data about the phase 2 study compared to historical
8 RCHOP control, especially in the biomarker-positive
9 patient group. How the control can potentially limit
10 the number of new patients exposed to a
11 well-established standard of care?

12 FDA Type C meeting on proposed phase 3
13 in the biomarker-positive of experimental, plus RCHOP
14 versus RCHOP, three to one randomization, plus
15 externally borrowed control were selected from the
16 internal study. And the agency recommended, actually,
17 the primary and asset population and assets planned to
18 be on the randomized trial were found in the external
19 control.

20 And an analysis population can be used
21 for support of analyses. The focus of the updated

1 design with the external control was for the secondary
2 endpoint overall survival because overall survival is
3 a clinically meaningful endpoint with minimal
4 ambiguity in its assessment.

5 So then we joined the FDA CID Program,
6 which really provided a great opportunity for us to
7 build on utilizing these external controls and
8 discussion and also within a very collaborative
9 framework. So eventually, we came up with this design
10 where we would randomize subjects two to one, with the
11 novel combo with 280 subjects and the RCHOP group
12 about 140.

13 And then we supplemented the external
14 controls with about -- internal controls with the
15 external control of about 100 subjects. As I
16 mentioned before, the primary endpoint for the study
17 is PFS, but that was assessed only with the randomized
18 subjects and a key endpoint is where we actually
19 combined randomized subjects and also augmented the
20 internal control arm with the matched external
21 controls.

1 So the external control patients were
2 selected from a contemporary ongoing internal clinical
3 trial in an intent to support early OS analysis at a
4 time of primary PFS analysis, so that's the shortening
5 the time to get the readout. Randomized study with
6 external control arm used matched external controls
7 through, as we mentioned before, dynamic borrowing.

8 So the rationale for sources of
9 external control arm is for prospective plan to select
10 external controls from an ongoing contemporary
11 interval randomized control clinical trials is
12 consistent with the eligibility criteria planned, aims
13 to targets similar and investigators of sites.

14 Overall survival, as mentioned before,
15 also very clear and clinically meaningful. So five
16 out of six proposed criteria with the Pocock Criteria
17 that was mentioned earlier were met. So what was our
18 kind of analysis flow diagram look like? So the first
19 one is to look at control comparability evaluation by
20 applying inclusion-exclusion criteria. As far as
21 flagging based on factors that have significant

1 differences between internal and external trials.

2 The next step was to utilize propensity
3 score matching to match patients between internal and
4 external control trials using propensity score
5 matching, which enhances the covariate balance between
6 the two groups by filtering out unmatched patients.

7 Finally, we applied Bayesian dynamic
8 borrowing method, which automatically downweights
9 external control based on the agreement between
10 internal and external controls. And again, as we
11 mentioned, we provide inference for the overall
12 survival for the borrowing scenario, and sensitivity
13 analysis would follow the main analysis.

14 I won't go into all the details about
15 the simulations, but briefly we'll cover kind of at
16 the high level what we looked at. And the main goal
17 of the simulation scenario is to evaluate the proposed
18 statistical method, which is a combination of
19 propensity score matching with Bayesian commensurate
20 prior approach.

21 We examined a few things to understand

1 the operating characteristics, including a varying
2 magnitude of differences in baseline characteristics,
3 which we will see in the coming slide, as well as the
4 different choices of prior, which may influence the
5 degree of borrowing. We will at high level cover how
6 we looked at violation of various assumptions.

7 So on the left-hand side, you see a
8 plot of type 1 error and at the bottom, you have
9 different types of scenarios. So there's a scenario
10 on the bottom left, which is the no difference,
11 followed by the moderate difference between the
12 internal and external controls and also the scenario
13 where you have a large difference, plus the benchmark,
14 which is the no borrowing case.

15 So as you can see, the no -- full
16 borrowing case would have the highest type 1 error
17 inflation, while the dynamic borrowing with
18 half- Cauchy is doing better in the type 1 error
19 control. And in terms of the no-borrowing reference,
20 it's not too far from that.

21 As for the power gain, they're also

1 shown on the right-hand side with the different
2 scenarios: no difference, moderate difference, and
3 large difference. And of course, given that the
4 borrowing case demonstrated an increase in power gain,
5 but we also need to take into account the type 1 error
6 inflation and as we can see, the dynamic borrowing
7 with half-Cauchy does have a decent power gain.

8 In terms of violation of various
9 assumptions, we won't cover the results in detail
10 here, but what we looked at include understanding and
11 simulating to see anywhere else where we have, for
12 example, observed unmeasured confounder, as well as
13 understanding if the survival curve distribution is
14 different from the assumptions that was made.

15 And we also looked at if there's a
16 nonlinear or non-additive effect model, how does the
17 operating characteristics performed. So in
18 conclusion, we found that in general that dynamic
19 borrowing with the conservative half-Cauchy prior was
20 able to have a good average error rate, weighted type
21 1 error rate, and a slightly inflated maximum type 1

1 error rate, but is the most conservative one that we
2 observed much better than the aggressive as well as
3 the full borrowing scenario.

4 So what was some feedback on this, the
5 potential using OS with external controls? There are
6 several aspects we learned, including model
7 assumptions assessment, which is standard analysis
8 typically requires fewer assumptions, so these
9 borrowing scenarios have more assumptions, can be less
10 standard, so we need to understand and assess them
11 just like as we demonstrated with the evaluation of
12 assumptions simulations.

13 The need for pre-specification, I think
14 earlier speakers also alluded to that. And another
15 consideration unique to this case would be what could
16 hamper inclusion of overall survival in the label.
17 For example, whether the model assumptions appear to
18 be met and the outlying subgroup effects, is the
19 endpoint credibly captured or not, overall conduct of
20 study, missing data, as well as, for example, whether
21 the baseline characteristics are the same.

1 In addition to statistical
2 consideration is there are other considerations that
3 you need to think about, which include, is the
4 analysis of the summary -- summary of analysis clear?
5 Can it be interpreted by clinicians as well as, would
6 it provide available information?

7 So with these more novel designs, it's
8 unlike the typical case where you can decide on
9 parameters and have fixed scenarios, so you need to do
10 more extensive simulations. And for the case that we
11 did with the CID program, we actually had many
12 scenarios per FDA meetings. So we have a couple of
13 meetings, and each of them has more than 20 scenarios
14 that we investigated.

15 So the implications would be that we
16 have to plan earlier, allocate sufficient time and
17 resource, as well as having, for example, software
18 being available. So right now, we have this
19 open-source software. I think Jack asked a question
20 earlier about having open-source resources so that
21 other people in the industry can also benefit from it,

1 so psborrow2 is one such example.

2 And of course, within internally, we
3 need help from other Roche statistical software
4 engineering team and methods experts. So learning
5 from the CID program certainly helped us a lot and a
6 real initiative that we have that we will cover very
7 briefly in the coming few slides is another FDA
8 initiative, which is the U01 grant.

9 So in 2020, FDA awarded full grants for
10 the U01 mechanism for the exploring the use of
11 real-world data to generate real-world events in
12 regulatory decision-making and think they actually
13 have a new batch that came out last year as well. And
14 if you are interested in learning more and you're a
15 member of the ASA BIOP Section, there's actually a
16 session this Friday that would actually have some
17 speakers that have been awarded these grants.

18 So this is an ongoing research and
19 which is entitled Applied Novel Statistical
20 Approaches, develop decision framework for hybrid
21 randomized clinical trial design, and combining

1 internal controls with patients from real-world data
2 sources.

3 So this is in collaboration with
4 University of North Carolina. One of our research
5 work has been recently published, utilizing something
6 different than what we presented in the CID program,
7 which is a case weigh specific power prior method.

8 So as we saw in the dynamic borrowing
9 case that we have, we actually have this maybe
10 assessing the agreement between internal and external
11 controls and then putting a weight, but the weight is
12 the same across all subjects. So this particular
13 approach would actually have a case-specific weight
14 and is soon to be available online, but there's also
15 an archived version of this paper.

16 I think this point was also touched
17 upon by Jack when he asked a question about -- or
18 talking about turning the different knobs, different
19 ways, different parameters that can go into it. So
20 one of the questions that came up during our
21 discussion of the grant that we have a monthly meeting

1 with the FDA on is the randomization ratio, so how do
2 you choose and understand that?

3 So in this paper, I won't go into all
4 the detail about it, but essentially for the scenario,
5 very similar to the CID case that we have. We try to
6 understand what's the impact of the various parameters
7 on, for example, the internal and external control
8 ratio.

9 So we discovered that the
10 internal-external control ratios is one important
11 aspect, but also the randomization ratio can also
12 affect how the operating characteristics can behave in
13 terms of type 1 error and power. So this work is
14 published in the ASA BIOP Report.

15 This work is another piece that
16 actually something that came out from our CID
17 collaboration. And when we were doing the step about
18 the -- before the dynamic borrowing, FDA suggest that
19 we should use propensity score matching. One of the
20 reasons for that is that there's not much literature
21 on how does it work with other ways of handling.

1 For example, propensity score weighting
2 or even covariate adjustment. So in this work, we
3 actually investigated also their operating
4 characteristics how different approaches in handling
5 covariates can have an impact on the design.

6 So there's ongoing research on this
7 topic. And what we covered mostly today are tied to
8 more time-to-event outcome, but there are other
9 outcomes that, I think, in Karen's talk and also in
10 Roger's talk that it was covered that there are
11 different outcomes that, for example, rare diseases,
12 pediatric outcomes, could have different endpoints and
13 different characteristics.

14 So oncology was the application today,
15 but we also have, for example, other studies as well.
16 So in summary, the CID pilot program, we facilitate a
17 very collaborative scientific discussion with the FDA
18 and we had alignment on critical concepts, design
19 proposals to boost confidence in future designs and
20 outcomes. Agency also demonstrated openness to the
21 proposed design with external controls while providing

1 key feedback.

2 As we learned, early sponsor and health
3 authority engagement is paramount when we want to do
4 these novel trial designs. And successful adoption of
5 novel innovative designs also requires collaboration
6 effort between health authorities, academics, and
7 industry as we see from the panel that we have today.

8 So we highlighted one example of how
9 the MATHIS work has been used to fill the research
10 gap. So this work takes a team effort and for the
11 preparation of the CID event and also the CID program,
12 as well as the grant, these are the colleagues that
13 have helped us. And thank you for your kind
14 attention, yeah.

15 DR. SCOTT: Thanks so much, Dr. Pang.

16 I'll turn to the panel to see if
17 anybody has any questions or comments.

18 Dr. Hubbard?

19 DR. HUBBARD: Thanks for that really
20 nice example of a hybrid-controlled trial and all the
21 sort of many considerations that go into how you put

1 it together. I had a question about a specific
2 element of it, which was the choice of the number of
3 external controls.

4 You know, sometimes we're in settings
5 where you have a very large pool available from a
6 registry or other external source. I'm not sure that
7 that was the case in your example, but in general, how
8 would you go about thinking about considerations
9 there, the practical constraints, the effects on the
10 operating characteristics?

11 And then also I think there's sort of a
12 gut feeling that you just sort of don't want to have
13 too many external controls, and how do you weigh those
14 things?

15 DR. PANG: Thank you, Rebecca, for this
16 important question. I think this is one thing that
17 probably in our talk we didn't cover as much in
18 detail. One of the reasons is that in the CID program
19 and what we have for the case, we actually have a
20 concurrent ongoing trial, which makes it much easier
21 in terms of having high-quality data that really

1 follows the Pocock Criteria.

2 Think in the case of if you have a
3 scenario where you can borrow a lot more, but you may
4 be more skeptical about the quality, and data is so
5 important, right, the quality of data. So having the
6 screening of inclusion and exclusion criteria to make
7 sure the two groups are aligned, the internal control
8 and what you use to augment it is extremely important.

9 So our filtering step is certainly
10 important, but how you go about doing the filtering,
11 there are many different approaches to do that. So
12 one such would be maybe propensity score based
13 approaches, but there could be other ones, like
14 setting up ways to make sure that you just exclude
15 certain subjects, right, because there are some
16 differences in terms of calendar time biases. Like,
17 you want to ensure that things align in terms of when
18 you enroll the subjects.

19 So just like you have to consider all
20 the biases that may be involved and read through, for
21 example, the paper we discussed, but also the FDA

1 guidance on how to evaluate, right, the subjects in
2 the end. Because in the end, if there are more
3 uncertainty, there's also risk, right, to reading out
4 something that things are less aligned.

5 But I think we are very fortunate in
6 our case that we can borrow from something that's
7 concurrent and ongoing, and which is sort of also
8 blinded, right. So I think that scenario is very
9 ideal, so I think that's also why we were selected
10 because this is one of the better scenarios that we
11 can have, yeah. So thank you for the question.

12 DR. SCOTT: Dr. Follmann?

13 DR. FOLLMAN: Yeah, just to pick up on
14 that comment. So I guess you were underpowered for
15 overall survival and so, like, in theory you could at
16 the end of the study look at how many deaths you have
17 in the randomized trial and then do a power analysis
18 to decide on how big the external control could be.

19 It could be that you have enough deaths
20 that you don't even need it. That's probably
21 unlikely, but anyway, you could calibrate it to

1 answer, you know, to formulate it with a power, based
2 on a power analysis.

3 DR. PANG: Yeah, thank you, Dean, for a
4 great comment. So yeah, I think in some scenarios,
5 definitely that could be a good choice. So, for
6 example, you can just understand whether is already
7 enough. I think in our case with the DLBCL
8 population, the overall survival actually takes a long
9 time to mature. So even with the borrowing, I think
10 we are not really at 80 percent, so it's more like 60
11 to 70 percent.

12 So that's one of the reasons why in
13 this particular case, the borrowing could be a very
14 good approach and plus, it's a secondary endpoint less
15 sensitive to -- if it were to be a primary one.

16 So -- but I think the idea of maybe having something
17 pre-specified that you can adapt, whether you borrow
18 or not, could be a good approach, but in addition to
19 the simulations that needs to be run, but also the
20 other scenario, how to go about thinking about that,
21 right. So -- but that's a good idea, yeah, thank you.

1 DR. SCOTT: Dr. Lewis, then Dr. Ruberg.

2 DR. LEWIS: So I think one of the
3 challenges in dynamic borrowing of control data has to
4 do with how you quantify the benefit of the approach
5 and communicate that benefit to decision makers and
6 others involved in deciding what the approach is
7 you're going to take. So I'm curious in this setting.
8 How did you quantify what you gained through this
9 approach since obviously a lot of work had to go into
10 it, and how effective was that communication?

11 DR. PANG: Yeah. So that's a great
12 question. And of course, communicating the results
13 was, like, even the simulation settings and the
14 results is also very important. So in terms of
15 understanding whether -- I think one of the keys
16 things we look at is really the type 1 error control.

17 I think FDA, as you know, is also very
18 keen on making sure that that's under control. So,
19 for example, when we decided between the aggressive
20 prior and also the conservative one, we can see that
21 the aggressive one tends to have a lot higher type 1

1 error inflation. So we want to calibrate against a
2 strict control of type 1 error, considering all the
3 scenarios that we investigated.

4 Definitely, that has the disadvantage,
5 so it's -- in our case, this was quite clear that the
6 conservative one would be the ideal choice in our
7 scenario. I think there are many other things. For
8 example, thinking about the different assumptions, the
9 variations, or the sensitivity analysis that goes
10 about understanding violations, right.

11 Like, unmeasured confounder and what's
12 the impact on that, and whether you actually know
13 enough information about the different prognostic
14 factors in your studies. That's also important. I
15 think the advantage of what we had was we have also
16 some older studies that we can learn from,
17 understanding participating population one of the
18 important confounders, and then what kind of
19 information you have.

20 And we got to that, and we control for
21 that. Assuming that, for example, I think in our

1 setting, which I didn't cover today, we actually
2 simulated taking out some of the, like, known
3 cofounders and then see what was the impact on the
4 type 1 error control, and also power, and we actually
5 see not so much because I think we have very good
6 cofounders all measured, yeah.

7 DR. LEWIS: Thank you.

8 DR. RUBERG: Yeah, thanks for that
9 presentation. You've got me thinking. There's many
10 phase 3 programs in drug development where a company
11 will do two identical phase 3 trials pretty much
12 contemporaneous in time.

13 And those trials, let's just say each
14 trial has 1,000 patients. You put 500 on drug and 500
15 on placebo, and you've got two of those. Why can't we
16 put 500 on drug and 250 on placebo in study A and 500
17 on drug and 250 on placebo in study B and borrow the
18 placebo information across.

19 Should be very few questions about
20 exchangeability, or you could apply dynamic borrowing,
21 but I would suspect you could borrow quite a bit of

1 information, given it's an identical protocol done
2 contemporaneously in time. And as long as your
3 investigators were scattered kind of evenly between
4 Europe, U.S. or whatever.

5 You could have even geographic balance,
6 if you would, between the two studies, and we could
7 cut down on the exposure of 500 patients, placebo
8 patients and reduce time and cost. So is that an
9 extension of what you're presenting here, or is that
10 something that we should be thinking about routinely
11 in drug development?

12 And, John, what would the FDA think
13 about that idea?

14 DR. SCOTT: So obviously, I can't
15 answer for the FDA, but I will say --

16 DR. RUBERG: It's a review question;
17 right?

18 DR. SCOTT: Exactly. It's totality of
19 the evidence, but it does seem like low hanging fruit,
20 and it's also the kind of thing that is not dissimilar
21 to a master protocol. And so we do have sort of

1 processes for thinking about those and reviewing them.

2 DR. PANG: Yeah, so I think I agree
3 with what John mentioned and especially for a
4 non-oncology setting like running two phase 3 is very
5 common. And so actually, as far as I know, there are
6 some examples of that thinking behind the scenes. I'm
7 not directly involved, but the methodology could be
8 very closely related to the master protocol and
9 similar.

10 So certainly, I think related
11 methodology can be used, but also, I'm sure that you
12 need to make sure that whether there's any issues that
13 may come up, right. With such a design, yeah.

14 DR. RUBERG: Yeah. I would just say
15 one of the criticisms of some Bayesian and borrowing
16 and all that as well, you still got to have enough
17 patients explored to your drug for safety and all that
18 other kind of stuff. In the scenario that I
19 mentioned, or we've discussed here, you still are
20 exposing the same number of patients to your
21 experimental treatment, and so you're still

1 accumulating sufficient safety data.

2 And anyway, John, I like your phrase
3 about "some low hanging fruit" or consider it as a
4 master protocol for your phase 3 program. Seems like
5 it's imminently doable without huge leaps of
6 assumptions or social or cultural or scientific
7 barriers to overcome.

8 DR. SCOTT: Dr. Lewis?

9 DR. LEWIS: I just wanted to point out
10 something which you already know, which is when you
11 share that control data, you're introducing a
12 correlation in the results between the two trials, so
13 you lose the independence of the two trials. So one
14 has to think very carefully about whether you care
15 about that for the particular development program.

16 DR. RUBERG: I mean, I was even
17 thinking, it doesn't even have to be Bayesian; right?
18 It's like a multiple comparisons problem where you're
19 comparing back to the same control group, and you
20 could adjust for those correlations. Of course
21 there's the Bayesian.

1 I don't know which one would work out
2 to be better in terms of operating characteristics,
3 but I suspect maybe somewhat dynamic borrowing might
4 be a reasonable approach taking into account the
5 correlation between the placebo groups. Anyway, just
6 a thought.

7 DR. SCOTT: I mean, it also raises the
8 question of, why not one larger trial? And I know
9 there's an answer to that question, but thinking about
10 what we get specifically from independent or
11 quasi-independent replication versus more precise
12 estimates from one trial. It's, you know, it's an
13 interesting conversation, I think.

14 Dr. Lee?

15 DR. LEE: Yeah, following the
16 discussion, I think we all like borrowing, right,
17 partially if it's your money, right. And how to
18 borrow it properly and really efficiently and to get
19 really the more accurate decision. Yeah, it's why we
20 are interested.

21 So in this case, as, Herb, as you

1 mentioned that R-CHOP has been used in the large
2 B-cell lymphoma for over 20 years. There are ample of
3 data, right, and the treatment's very standard. So
4 you talk about hybrid control, which is makes sense.

5 So question one is that, again, how
6 much -- you have cancer data; right? So how much to
7 borrow in this case, the hybrid control? I see many
8 of the designs say that, oh, we want to borrow up to
9 the number of experimental arm; right? But -- them,
10 or maybe, you know, you can think about a factor of
11 that, right?

12 But in this case, there are so many
13 data, I would argue that, you know, nowadays with the
14 very good electronic medical record and real evidence,
15 you know, this kind of getting -- the quality getting
16 better and better. So one may think about a synthetic
17 control, right. You don't even need a control group,
18 right. So again, that -- I'd like to know your
19 thought.

20 And also, John, the FDA's thought on
21 that.

1 DR. PANG: Yeah. So I think certainly
2 the real-world data setting can also contribute to the
3 external control trials, so I think there are, like,
4 two instances if you can borrow from a concurrent
5 ongoing one and that already kind of is sufficient for
6 the purpose of shutting time to read out for the
7 overall survival when you do the primary PFS analysis.

8 I think that's an ideal scenario you
9 have because I think even with very high-quality data
10 coming from a real-world data setting, you may have
11 other additional biases because subjects can be
12 enrolled differently in trials versus more of the
13 observational database.

14 So there will be a higher instance of,
15 like, other biases that could be involved, but just
16 need to make sure that, like, the whether the -- I
17 think going back to, I think, one slide that we have
18 is whether you have compelling effect size. I think
19 if you have more compelling effect size, then you have
20 more wiggle room, right, to have some of the risk,
21 right, from the biases.

1 But if it's more modest, I think it's
2 safer to have a cleaner and higher quality data. So I
3 think it really depends on the scenario. It's really
4 case by case. I think in this particular setting, you
5 have very ideal situation where you have a concurrent
6 one. But in the case, maybe you don't have one.

7 It's because the concurrent one also is
8 blinded, right, so I think that's the advantage too
9 the FDA also likes. But in scenarios where you don't,
10 then I think you have to think about other options,
11 but with higher quality real-world data, this may not
12 be a big issue, but you have to simulate right. Also
13 understand the scenarios where you have any other
14 issues that may come up, yeah.

15 DR. SCOTT: Yeah. I won't answer it in
16 detail, first of all because we're mostly here to
17 listen to you all and draw our conclusions afterward,
18 but I agree strongly with what Herb said, especially
19 in terms of when you have a large treatment effect
20 size, a lot of things are possible that may be less
21 acceptable in a more marginal case. We see that in a

1 lot of areas.

2 And we are five minutes over for lunch,
3 so we'll break for lunch. For folks who are here,
4 there's lunch available for purchase right outside to
5 the right, and there's also some drinks behind you on
6 the tables. Feel free to help yourselves. And we
7 will resume at 12:30.

8 DR. PANG: Thank you.

9 DR. SCOTT: Hello again, everybody. We
10 are back from lunch and going to proceed with the
11 panel discussion part of the day. This will be the
12 next two hours, and then we'll have another break, and
13 then we'll have audience Q&A.

14 So starting with the panel questions, I
15 wanted to start before we get into the sort of preset
16 discussion questions, with a couple questions we've
17 received online that I thought might be informative
18 about the talks we heard.

19 So there was a question for Dr. Lewis:
20 How are futility analyses built into the simulations
21 for type 1 error and power? Are the thresholds of

1 0.975 and 0.983 changed in the presence of futility
2 analysis?

3 DR. LEWIS: It's a great question. So
4 the general principle, which I think many people are
5 well aware of is that the futility rule decreases the
6 observed type 1 error if and only if the futility rule
7 is followed. And so as a general rule, when we're
8 simulating trials, we control type 1 error without the
9 futility rule, and then we add the futility rule,
10 which results in better control of type 1 error and
11 sometimes a very small loss, typically a few percent,
12 in power under the alternative scenarios.

13 Of course, the gain is that if the
14 trial is trending towards a negative result, you can
15 get out of it quicker, save resources and save
16 participants from avoidable risk. I think the type 1
17 error control, as I recall, is controlled without the
18 futility rule.

19 And if one was relying on your futility
20 rule to maintain type 1 error control, then it must be
21 absolutely clear that it is a binding futility rule,

1 and that there is no option for the trial continuing
2 and sort of what we sometimes informally call "blowing
3 through the futility rule" because then you've lost
4 your type 1 error control.

5 I'm well aware that this is an area of
6 some controversy, and I think the important thing is
7 that those designing the trial and those who will
8 ultimately be involved in reviewing the results and
9 designing it -- deciding its clinical impact have a
10 clear understanding of the precise assumptions that
11 underly the calculation of the type 1 errors control,
12 and then are able to verify that the trial was
13 conducted in a way that was consistent with those
14 simulations and therefore has the desired operating
15 characteristics.

16 DR. SCOTT: Thanks, Roger.

17 And we also had an online question for
18 Herb: Does the hybrid approach require access to
19 patient-level data from external controls, or could it
20 be done with summary-level data?

21 DR. PANG: Yeah, thank you for the

1 question. So I guess in our case, for the analysis,
2 we definitely need individual patient-level data, so
3 because we are looking at the similarity between
4 internal and external control and then using it as a
5 way to understand whether we should borrow more or
6 less, but I think there are other approaches probably
7 that can use summary-level approaches, but this is not
8 the case for our CID, yeah.

9 DR. SCOTT: Thanks.

10 Okay. So having done that, we'll move
11 on to the sort of preset discussions. Just a
12 reminder, these are our panelists for today. The
13 speakers are joining the rest of the panelists as
14 panelists.

15 And, Steve, I'm so sorry for excluding
16 you earlier, but I should've put you first to make it
17 up. I apologize, yeah.

18 Okay. So question one: Each of the
19 case studies this morning use a Bayesian statistical
20 framework in one way or another. Did these studies
21 need to be Bayesian, or could similar study designs

1 have been implemented using frequentist approaches?

2 And what advantages, if any, did Bayesian methods
3 provide in these examples? So it's sort of open field
4 to anyone who wants to chime in.

5 Roger?

6 DR. LEWIS: So I think it's commonly
7 stated that many forms of Bayesian adaptive design
8 could have been created using a frequentist approach
9 and moreover with many of these Bayesian designs were
10 very interested in frequentist operating
11 characteristics. So from a certain perspective, that
12 of evaluating the performance of the designs, we
13 actually have a mixed approach and actually care about
14 frequentist characteristics, so they're frequentist in
15 some sense.

16 I think it's interesting that as
17 complex and innovative designs have progressed in
18 their sophistication and the degree with which the
19 designs have been carefully customized to the clinical
20 setting, how much more common Bayesian approaches have
21 become.

1 And I think that indicates a practical
2 as opposed to a theoretical consideration that it is
3 just easier to use the Bayesian machinery than a
4 frequentist one to address multiple competing
5 priorities, understand what the design is doing,
6 implement things like hierarchical modeling, or
7 understanding the way external or historical data is
8 being used.

9 So I think there's a pattern that we're
10 seeing, which is that the Bayesian approaches
11 facilitate the kind of interdisciplinary
12 decision-making design activity evaluation of
13 alternatives that is necessary to realize an optimized
14 design, and frequentist approaches just don't seem to
15 be as practical in accomplishing the same things, even
16 if in principle they could accomplish the same
17 outcome.

18 DR. SCOTT: Thanks.

19 Jack?

20 DR. LEE: Yeah, I just want to add a
21 little bit. Indeed, many of the design is specifical

1 can be accomplished using either approach, right. But
2 conceptually Bayesian inference is a more coherent way
3 of thinking about a problem, right. So just quick
4 reminders that a frequentist approach will look at the
5 probability of data condition on the parameter.

6 And Bayesian approach is a probability
7 of parameter condition on the data, right. So these
8 are kind of complementary to each other, and it
9 depends on how you look at it. But, well, after all,
10 what we are interested is in the parameter, okay. And
11 whenever we don't know the parameter, it has a
12 distribution, okay.

13 So Bayesian is very natural to quantify
14 uncertainty, but on the other hand, the frequentist,
15 you know, look at how likely you observe the data
16 given the parameter. So it's kind of taking the
17 inverse approach; right? And things can be done in
18 either way, and there's a intersection between the
19 two; right?

20 And we all know that if we use
21 non-informative prior in many setting, the Bayesian

1 approach give the same answer as a frequentist
2 approach, right. But that being said, still, I feel
3 like Bayesian approach provide a more flexible and the
4 natural way to adapt, right.

5 And also, it has a formal way to
6 quantify uncertainty, and you can add the prior
7 information there very specifically, you know, kind of
8 way it spell out, rather than like a frequentist
9 approach, the priors is in the head, right. Kind of,
10 you know, you -- oh, everybody's tried to do a
11 reasonable thing, right.

12 And one last thing is that we've
13 touched this a little bit in the morning is the
14 Bayesian approach you can easily use a -- construct a
15 utility to synthesize the information and put a
16 multidimensional kind of problem or thinkings and
17 then, you know, construct the utility to make
18 decision, and this will be harder to do this in a
19 frequentist approach.

20 DR. SCOTT: Steve?

21 DR. RUBERG: Yeah, I'll pile on here a

1 bit. But the thing that I think is most about the
2 Bayesian -- well, most important -- one of the
3 important things is that it's directly interpretable;
4 right? If you have decision rules or results, let's
5 say the p-value is 0.02.

6 Okay. We declare that as significant,
7 but I don't know exactly what that means in terms of
8 effect size and everything else, whereas in a Bayesian
9 approach, we all know we can say the probability that
10 the effects, treatment effect, is greater than 1 is 90
11 percent. Okay. That statement is a very clear and
12 interpretable in and of itself. You don't need a lot
13 of other information or context, so I think that's
14 good.

15 And I think, Roger, your example in the
16 CHIP study, the non-inferiority margin of, okay, the
17 probability of this, this, and this; that's how we
18 built it into our decision rules and futility and et
19 cetera. And it's very clear and straightforward as
20 to -- as opposed to perhaps, well, we're going to do
21 these interim analyses, and if the p-value's less than

1 something, then we'll do this or, you know, that's
2 just harder to get a feel for what that really means.

3 Yeah, you can do frequentist approaches.

4 And I'll just -- Karen, I don't know if
5 you have an answer to this or not, but if you did the
6 frequentist approach, and you just say, we're going to
7 use, do nine clinical trials instead of this platform,
8 you mentioned that Lilly has a lot better throughput
9 through this platform trial with discovering or
10 advancing drugs, et cetera.

11 And I'm just wondering, is it a matter
12 of it was the platform and the standardization, et
13 cetera, or how much of it was the Bayesian analysis of
14 borrowing and integrating data? Again, I don't know
15 if there's an easy answer to that, but I guess I'd
16 like to think by borrowing information, you can do
17 more efficient studies, less time, less cost, better
18 decision-making, et cetera.

19 But in your concrete example, I'm just
20 wondering if you might speak to the -- how much of it
21 was the platform, and how much of it was the Bayesian

1 approach to the synthesis and analysis of the data?

2 DR. PRICE: Thanks, Steve. It's a
3 great question. I think I'll answer it by sort of
4 doubling down on something that Dr. Lewis mentioned,
5 which is a part of it was that it forced us to have
6 the conversations ahead of time, the cross-functional
7 conversations around what is meaningful, so we really
8 had a lot of discussion about what is that effective
9 interest.

10 Also, how did the pain types relate?
11 Would we borrow across pain types or not? Because the
12 fact that we could and we had the Bayesian analysis
13 there, it really facilitated a lot of the internal
14 conversations that maybe don't always happen when
15 you're doing independent trials and so probably a
16 combination equally really the -- having that
17 platform, but facilitating the conversations and then
18 being able to borrow the information.

19 One other thing if I could add while I
20 have the floor, is that another thing a Bayesian
21 approach allows is that it keeps functions of

1 parameters maintain coherency. So once you have that
2 joint posterior, not only can you make inferences
3 about the parameter of interest, but functions of that
4 parameter and everything stays, probability stays
5 between zero and one and things maintain that
6 coherency, which isn't always the case.

7 And so I think just one additional
8 thing. All of the points I was going to echo
9 additionally just to put that one in there as well:
10 No relying on large sample theory.

11 DR. SCOTT: Following up on something
12 Steve said on the interpretability of posterior
13 probabilities, are they still interpretable if you've
14 chosen a prior for pragmatic reasons to optimize some
15 operating characteristic, rather than to capture your
16 prior state of belief?

17 DR. RUBERG: I mean, I guess I'd say at
18 face value, they're still interpretable. You probably
19 have to be transparent, and I think the Bayesian
20 approach leads to that transparency about declaring
21 what exactly is your prior information. I think in

1 many situations, people intuitively make decisions
2 about effect sizes and are they clinically meaningful
3 in some more abstract, intuitive, internal mental
4 process or whatever, so all of that's going on, even
5 in a frequentist world.

6 And I guess I would say the Bayesian
7 approach is not only more interpretable, but you have
8 to declare, you know, up front quite explicitly what
9 assumption, what prior is going in and feeding into
10 that probability statement.

11 DR. SCOTT: Thanks.

12 Frank Bretz?

13 DR. BRETZ: Yes. Maybe I'm just
14 reacting a little bit to this he's a Bayesian or
15 frequentist. So in my view, I think the studies
16 today, they used actually both in the senses that, you
17 know, that the decision criteria they calibrated, so
18 essentially, they were doing a frequentist analysis, I
19 guess, by calibrating to decision criteria.

20 And so I think there's a marriage of
21 those two methods that I saw today for large part. Of

1 course, I can well imagine about fully Bayesian
2 approaches in the sense of using Bayesian influence
3 just based on posterior probabilities without
4 calibrating decision criteria.

5 I'm not sure, but I have seen that
6 earlier today. So it's just either/or, I'm not sure.
7 I'm so comfortable with, and I think it's more
8 important that you have the right design. It's fit
9 for purposes and be addressing the right questions.
10 And which methods we use, I think it's to some extent
11 secondary.

12 But since the question is also there,
13 maybe it's also good to remind us that there are some
14 purely frequentist methods based on, say,
15 meta-analysis where you can incorporate historical
16 information if you wanted to, or frequentist
17 propensity score methods. So there a variety of
18 methods out there, but again, I don't think it's an
19 either/or, so it's just, you know, what is fit for
20 purpose.

21 DR. SCOTT: Thank you.

1 Yes, Herb?

2 DR. PANG: Yeah. So I agree with what
3 Frank said and in the -- I want to speak to the
4 scenario of the hybrid randomized trial design, which
5 is our CID study. In that case, actually, even though
6 the dynamic borrowing is certainly a Bayesian
7 approach, there's also the aspect of the propensity
8 score, right, that went before that. So that's kind
9 of a mixture on top of the Bayesian.

10 And Karen was asking me, it was a
11 little bit after the talk, which is, we had a paper
12 about a covariate handling approaches on top of
13 Bayesian approaches. So there's also, like, other
14 considerations, right, that needs to be in place.

15 So as Frank said, like, it's not just
16 purely, like, not the way to do it, but there could be
17 different ways to do the same thing, but we just need
18 to make sure that we're doing it right. And then I
19 think another point is for the hybrid control trial
20 setting, there's a recent paper by one of our
21 colleagues working on using adaptive lasso methods for

1 hybrid control design.

2 So think in their scenario and also
3 what they investigated was that it can be
4 computationally more efficient, like, from the
5 computing time standpoint, but then I think the -- in
6 the settings they investigated, there still the issue
7 of type 1 error inflation in some scenarios. So it
8 doesn't get around, right.

9 So potential issues that may come up to
10 for Bayesian, so I think, yeah, goes back to Frank's
11 point about the -- not the particular type of method,
12 but how to do it well, yeah. So thank you.

13 DR. SCOTT: Thank you.

14 Anyone else?

15 Okay. We have lots of questions.

16 Question two: For late-stage studies with a
17 frequentist design, the maximum type 1 error rate is
18 typically controlled at 0.025 one sided. Is there a
19 direct analog for Bayesian designs? What are the
20 specific design characteristics that you see as most
21 critical to support regulatory decision-making for

1 Bayesian trials, especially trials that use
2 informative priors to incorporate external data in the
3 study analyses? Anyone? Steve's making eye contact.

4 Please.

5 DR. RUBERG: All right. I'll give it a
6 shot. So first of all, I guess we have to realize
7 that controlling the type 1 error is not the same as
8 controlling the false positive rate or the probability
9 of a false positive finding. It's conditional; right?
10 You have the probability of A given B. The
11 probability to reject H_0 , given H_0 is
12 true.

13 What we really want to know is, what's
14 the probability of a false positive finding in some
15 sense the joint probability, the probability of A
16 given B times the probability of B; right? The
17 probability of B, the probability that H_0 is
18 true is, like, our prior or the probability that it's
19 false; right? So it's really two different concepts,
20 and I'd much prefer thinking about controlling about a
21 false positive finding.

1 So, you know, the real question is now
2 that I have a p-value that's 0.05 or 0.03 or 0.01, if
3 I decide to reject the null hypothesis as might
4 traditionally be done, and I'm talking two-sided
5 p-values here, what's the probability that that's a
6 false decision; right?

7 And so it's kind of what's the
8 probability that the null hypothesis is true given
9 that I've observed a p-value of 0.03 or 0.01, two
10 sided. Well, that's decidedly a Bayesian formulation
11 of the problem, and it would be much more interesting
12 to me through simulations or whatever that say I am
13 using an informative prior.

14 Okay. That inflates the type 1 error,
15 but it does not inflate the probability of a false
16 positive finding because I'm going in with a notion,
17 perhaps, that I'm having some slight favorable prior
18 toward my drug works. I've got phase 2 data, you
19 know, another phase 3 trial that I did in another
20 area, et cetera.

21 So you're going in with a notion that

1 the probability of the null hypothesis is true is
2 probably quite low; right? And so the chances of
3 making a false positive finding are, you know,
4 commensurately decreased.

5 And so I'm, you know, going to use an
6 informative prior with all the right considerations
7 about the prior, but I'm going to show that my false
8 positive finding rate is sufficiently low, even if my
9 type 1 error rate appears to be inflated by using that
10 informative prior.

11 So that's kind of my perspective on
12 designing trials and taking the Bayesian approach, and
13 I do bristle a little bit. I understand, I think, but
14 I do bristle a little bit about the frequentist
15 characteristics of a Bayesian procedure. I don't
16 know. Those kind of things, it grates at me a little
17 bit because it's kind of like trying to mix two
18 different philosophies.

19 So if we're going to do Bayesian, then
20 let's control the false positive finding rate, et
21 cetera. That'll maybe start the conversation here.

1 DR. SCOTT: Roger?

2 DR. LEWIS: So I think I'd like to
3 address the second half of the question, which has to
4 do with this question of, how do you think about type
5 1 error control when you're using informative priors
6 safe from external or, you know, previously existing
7 data. And to me, there's a fundamental question about
8 what we mean by an error rate in this setting.

9 So I want to consider two possible
10 scenarios. In the first scenario, we're doing a
11 single trial. We take a look after a quarter of the
12 data have accrued. We take the distribution for the
13 unknown parameter from that quarter of the data, and
14 then we update it with the last three-quarters of the
15 data.

16 So we've done one complete trial.
17 We've started from a non-informative prior, and we
18 have a final estimate versus a situation in which that
19 first quarter of the data came from historical, had
20 the exact same information in it, so it resulted in
21 the same now external prior, and we updated it with

1 that last so-called three-quarters of the patients.

2 Mathematically, there is -- if we don't
3 discount the prior, they're exactly the same. My
4 point is, when we're conducting a single trial, we
5 never stop at an interim analysis and say, oh, by the
6 way, we need to make sure our type 1 error rate is now
7 again controlled from this interim going forward.

8 So there's something inherently
9 inconsistent about the phrase "controlling type 1
10 error risk and using informative prior." If you
11 believe that the prior information is informative in a
12 way that is likely to be valuable enough and relevant
13 enough so you want to use that information, in my
14 view, there is no such thing as type 1 error control.

15 Apologies to my neighbor. And so I
16 think the question reflects a logical inconsistency
17 based on our habit of thinking of type 1 error control
18 as a characteristic of a trial. And I think therefore
19 the answer to this question is that, if we make the
20 decision to use informative priors, it no longer makes
21 sense to have the same criteria for error control.

1 What we really care about is the
2 sensitivity of our final decision, getting the right
3 answer or the wrong answer as a function of the degree
4 with which the informative prior was drawn from a
5 different set of data fundamentally different estimate
6 of the treatment effect or reflected a different
7 underlying treatment effect because we were wrong that
8 it was drawn from a similar-enough patient population
9 outcome measure, whatever it is.

10 So to me, the last question has two
11 parts: First, realizing that when you're using
12 informative data, if that makes sense, type 1 error
13 control no longer makes sense as a criteria; and
14 number two, all of our focus should be on deciding
15 what evidence informs our assessment of the likelihood
16 that the treatment effect reflected in that
17 informative prior is valid as a predictor of the
18 treatment effect in the subsequent data.

19 DR. SCOTT: Thanks, Roger. I tend to
20 agree with you about type 1 error in these settings in
21 terms of evaluating the degree to which our

1 informative prior matches the population from which
2 we're drawing the new data. Is that something -- how
3 much of that can be planned at the designed stage
4 versus how much of it is based on observed
5 heterogeneity after the data are collected?

6 DR. LEWIS: So just responding to that
7 directly. I think at the design stage, depending on
8 the context, one has to make a decision about whether
9 your design is specifically structured to mitigate the
10 risk of associated with mismatch of the historical
11 data versus the current data.

12 So we can picture settings, and I think
13 Dr. Pang's setting was a good example where there was
14 tremendous similarity with the historical -- excuse
15 me -- the external data and the concurrent data
16 because they were similar protocols concurrently
17 administered, et cetera. In that setting, I think
18 there's a very good argument for not -- for having a
19 relatively fixed approach to strong use of that prior
20 information.

21 On the other hand, if I'm drawing

1 historical data, I'm using historical data for a
2 prior, and it's from a different setting or a
3 different time or different centers or different
4 practitioners, now I'm much more likely at the design
5 stage to want to use a dynamic borrowing approach so
6 that I can anticipate and mitigate the risk associated
7 with their turning out to be a mismatch in the
8 treatment effects between the prior and the subsequent
9 data.

10 And my dynamic borrowing will naturally
11 borrow less aggressively and still give me a valid and
12 interpretable estimate of the overall treatment
13 effect.

14 DR. SCOTT: I'm going to turn now to
15 our online panelist, Frank Harrell, to weigh in on
16 this question.

17 DR. HARRELL: Thank you very much. Can
18 I share my screen, John?

19 DR. SCOTT: I think so, yes. I'm
20 getting a nod.

21 DR. HARRELL: Okay. Let me try

1 clicking here. I want to -- it says I can't share
2 screen while the other participant is sharing.

3 DR. SCOTT: Let me stop -- oh, I can't.

4 DR. HARRELL: Think you're still
5 sharing something.

6 DR. SCOTT: Someone will take care of
7 that.

8 DR. HARRELL: Okay. I wanted to
9 elaborate on what the last two speakers said so
10 beautifully and to give a simple example. And while
11 I'm waiting on the screen share, I think it's just so
12 ironic that Bayesians are asked to study frequentist
13 operating characteristics of Bayesian procedures, but
14 frequentists are never asked to demonstrate good
15 Bayesian operating characteristics of their
16 frequentist procedures.

17 It's just very weird to me because, as
18 Steve said so well, the Bayesian procedure has to do
19 with decision-making. And what you care about is not
20 what you planned before a study began and what might
21 happen, which is related to alpha and type 1

1 probability, but what you care about is the accuracy
2 of the decision after everything has finished.

3 So the Bayesian operating
4 characteristics are so different from frequentist
5 ones. And if I could show you a simple slide right
6 now -- it's still not letting me do it.

7 DR. SCOTT: Yeah, still working on it.

8 DR. HARRELL: I've laid out
9 what -- okay. I've laid out the main Bayesian
10 operating characteristics. Number one far and away is
11 the correctness of the decision that you make with
12 Bayes. That's all important. The other things are
13 minor. The second is the Bayesian power.

14 Do you have the sensitivity to detect,
15 and in fact that's at the minimally clinically
16 interesting level, and then what is your expected
17 stopping time? That's a Bayesian operating
18 characteristic that's about efficiency and cost.

19 And then what is your precision of
20 estimating efficacy if you have evidence for efficacy.
21 And so those are important. And I just would like to

1 be able to show a very simple simulation.

2 DR. SCOTT: Okay. You should be good
3 now.

4 DR. HARRELL: Good, good. I think it's
5 going to come up. This is a very simple simulation.
6 It's actually a very dangerous simulation because it's
7 simulation under a radical situation where you would
8 expect Bayes to run into trouble.

9 And I say that for two reasons: It's
10 because it was simulated with unlimited data looks,
11 and it was simulated under a universe of treatment
12 effects that does not match the prior that is assumed
13 during the analysis. In other words, the universe of
14 treatment effects uses a much more skeptical treatment
15 effect than the prior that's used in the analysis.

16 So even under those two situations, the
17 Bayesian performance is pretty amazing. So the first
18 thing to understand is, how do you know you're doing a
19 Bayesian simulation? Well, the number one clue is
20 that you never get the same treatment effect twice.

21 So if you're simulating, as I did here,

1 10,000 clinical trials, no two of those trials have
2 the same treatment effects, so you're recognizing the
3 Bayesian goal is to uncover the treatment effect that
4 generated the data, whatever that is. So I chose the
5 universe of treatment effects to be disadvantageous to
6 what I'm showing.

7 Do 10,000 trials with sequential
8 assessments and unlimited looks, except I'm
9 restricting the first look for efficacy to be the
10 first moment where you have sufficient precision for
11 the treatment effect if you were to stop for efficacy
12 at that moment.

13 So what happens when you have unlimited
14 looks at the data essentially and you want to judge
15 the Bayesian operating characteristics? Well, of
16 those 10,000 trials, which allowed stopping at any
17 time for inefficacy, and if you have an inefficacy as
18 a formal stopping rule, you don't need a futility
19 assessment anymore.

20 So what happened in this is over half
21 the trials were stopped earlier with its conclusion of

1 inefficacy. The average sample size at which that
2 happened was 62. The frequentist sample size for this
3 study was about 234. And then the question is, are
4 you accurate? Did you get the right answer?

5 This was what Steve was getting at.
6 This is just putting numbers on that. So of those
7 5,184 trials that are stopped early for inefficacy,
8 5,020 reached the correct conclusion. In other words,
9 5,020 out of 5,184, the true treatment effect was
10 lifting the threshold for trivial treatment effect.

11 I took gamma to be one-third of the
12 MCID for this particular simulation. So that means
13 that when you stop for inefficacy, you are correct 97
14 percent of the time. What if you stopped for
15 similarity? Well, that's actually hard to
16 demonstrate, but 634 trials stopped early for
17 similarity at an average sample size of 423. 607 of
18 those, that was the correct decision.

19 So it was 607 out of 634 times, which
20 is 96 percent of the time, the underlying truth that
21 generated the trial that you stopped early for was

1 similarity of treatment effect. How often did you
2 never stop? I set a maximum sample size of 750.
3 There were 172 out of 10,000 trials that went to the
4 full maximum and without stopping.

5 So you're really avoiding wasted money
6 with these Bayesian sequential designs. And that what
7 is it that made you unable to reach a conclusion, the
8 median treatment effect that was in play at the end of
9 the study was exactly the threshold for non-trivial
10 treatment effect.

11 But here's the most important part:
12 Stop at any time that you're greater than 60 sample
13 size for minimum precision for efficacy; otherwise,
14 you stop at any time. 4,010 stopped early; the
15 average size at which it stopped with evidence for
16 non-trivial efficacy, average sample size 102.

17 So how often were we correct? 3,643
18 out of 4,010, which is 91 percent of the time, the
19 decision to stop early for non-trivial efficacy was
20 correct. In other words, the true efficacy that
21 generated the data was greater than gamma. Even more

1 impressive is how often were you correct in saying
2 that there was any efficacy if you stopped early for
3 more than trivial efficacy? You were right 98 percent
4 of the time.

5 So I think in terms of operating
6 characteristics, I can't think of anything more
7 important than showing you that you get the right
8 answer after the data are in, and I have just two
9 quotes to try to get your attention about this:

10 "Asking one to compute type 1
11 assertion probability alpha for a Bayesian design is
12 like asking a poker player who wins more than \$10
13 million a year to justify his ranking by how often he
14 places bets in games he didn't win," or "Do you want
15 the probability of a positive result when there is
16 nothing, which is alpha, or do you want the
17 probability that a positive result turns out to be
18 nothing?"

19 This is exactly what Steve talked about
20 earlier. So thanks for letting me share that.

21 DR. SCOTT: Sure. Thank you, Frank.

1 Dean?

2 DR. FOLLMANN: Yeah. So getting back
3 to this question, you know, I agree what was said
4 earlier. You know, this is sort of cross-purposes.
5 If you're a Bayesian, you believe in your informative
6 prior. You have a different way or describing
7 evidence, and it's not really your cup of tea to talk
8 about the type 1 error rate, but I think, you know,
9 it's relevant to do that.

10 And I appreciate, like, when Bayesians
11 will evaluate the performance of their method
12 under -- evaluate the frequentist performance of their
13 methods. Informative priors, I, you know, I think
14 they have their place, probably for rare diseases and
15 probably for sort of evaluating sort of a series or
16 streams of trials, and I think that's what Frank was
17 doing.

18 He's saying you adopt this approach,
19 and in the long run, you know, you'll have certain
20 performance characteristics, which I think is a
21 certain calculus. It's a bit different than saying, I

1 really want to get the answer right for this
2 particular trial for this community of people with
3 this disease, and I want it to be based on evidence
4 and not belief.

5 And I think these are two different
6 perspectives and it's sort of, I would say, a decision
7 for the FDA or someone else, which one do you want to
8 adopt. You know, always getting it right, really
9 controlling the type 1 error rate or have a stream of
10 sort of better decisions over a long horizon.

11 So that's one kind of general comment,
12 and the other thing about informative priors is,
13 sometimes it's hard to figure out how much information
14 they're really taking or how much providing or how
15 much they're adding to the data. And so if they're
16 being used, I like them to be interrogated and to
17 understand how much they're deriving the evidence.

18 So, for example, you could compare the
19 posterior probability for your -- the posterior
20 distribution for your informative prior analysis with
21 the posterior distribution where you have a

1 non-informative prior and see to what extent the
2 informative prior is driving it. Is it worth, like,
3 100 percent of the sample size or 50 percent of the
4 sample size, something like that, so you're
5 transparent.

6 And I think, you know, that everyone
7 should be in favor, transparency, and I think there's
8 different ways to try and interrogate those, so it's
9 clear what you're doing and how much the informative
10 prior's driving the data. Thanks.

11 DR. SCOTT: Thanks.

12 Jack?

13 DR. LEE: Yeah. I try to answer the
14 question in a different way. You know, should we look
15 at the hypothesis testing using p-value less than
16 0.025 to make a decision or not, right. We all know
17 that the p-value is heavily influenced by the sample
18 size. Even the magnitude of treatment effect's the
19 same, right. When you have a huge sample size,
20 anything can be significant.

21 So in making a regulatory decision, I

1 think really we probably need to look more about
2 estimation rather than just the hypothesis testing,
3 right. And, again, your estimation, then you worry
4 about the precision. Of course, you worry about
5 accuracy of estimating that treatment effect, but also
6 the precision, and that can be kind of measured
7 against the what's a clinical meaningful difference,
8 right.

9 Much better than, you know, just based
10 on the p-value alone, so I think I'd like to kind of
11 expand the problem a little bit. Regarding the
12 regulatory decision-making, then I think the
13 estimation's very important.

14 DR. SCOTT: Thank you, agreed.

15 Anyone else. Steve has a follow-up.

16 And, Dean, your mic is still live.

17 DR. FOLLMANN: Oh.

18 DR. RUBERG: Yeah. This is an example
19 that I've used before is, so study A is done in
20 pancreatic cancer and the agent that's being tested is
21 an extract from a leaf or plant in the jungles of

1 Brazil, and it was noted that this tribe that lived in
2 that area and used that never developed pancreatic
3 cancer.

4 And so somebody extracted an active
5 agent out of it and a study was done in two
6 centers -- one in Argentina, one in Peru -- and looked
7 at 200 pancreatic cancer patients and did a randomized
8 trial and got a p-value of 0.02; right? Trial B is
9 results from research done at the Max Planck Institute
10 in Germany where they've looked at the biochemical
11 mechanism of action and pathways and found some
12 biomarker or whatever, developed -- and somebody
13 developed a drug or a biologic that goes in and
14 interferes with that pathway and stops cell
15 proliferation.

16 And you go off and do a study of 200
17 patients with pancreatic cancer with that drug, and it
18 comes up with a p-value of 0.02 on survival, whatever.
19 If you're like me, I'm more likely to think study A is
20 a false positive finding than study B; right? I think
21 most people would kind of align with that kind of

1 thinking, and so it just goes in my mind to
2 demonstrate that the p-value is really not related to
3 the probability of a false positive finding.

4 You got to take the whole context into
5 play. Call it your prior; call it whatever you want.
6 And in fact, people who would evaluate, I think most
7 scientists, who would evaluate study A would in the
8 back of their mind be skeptical whether this leaf
9 extract or whatever from South America is really
10 something that I want to invest in a major phase 3
11 trial, et cetera, et cetera because they know -- you
12 know all those things.

13 Somehow in your brain, you're taking
14 all that prior information into account in your gut.
15 And, I guess, I would just say it points out -- at
16 least, I've used that example, whether you like it or
17 not, I use that example to say 0.02 is not the
18 probability of a false positive finding, and oh,
19 people intuitively are using context, intuition,
20 whatever.

21 And as I said earlier, and I think many

1 people in the Bayesian would say, at least in the
2 Bayesian, somebody's going to tell you, well, write
3 down what that prior is for that leaf extract versus
4 that biomolecular mechanism of action, antibody that
5 binds to the right receptor in the right place that
6 stops cell proliferation, et cetera, et cetera.

7 Write it down, what's your prior? And
8 then do the study and the analysis and take that data
9 in the context of that prior. So anyway, just a
10 couple other thoughts about emphasizing, again, I
11 think, if you're going to do Bayesian, then I think
12 you got to start talking about what's the probability
13 of a false positive finding and not what's the alpha
14 level for a frequentist-like approach that you might
15 have taken in this context.

16 DR. SCOTT: Thanks, Steve. And that
17 also raises the question of, what information is in
18 scope when you're forming your informative prior?
19 Which I think is at least adjacent to some of the
20 upcoming questions. So question three: Regarding the
21 use of external data in trials, how should external

1 data sources be chosen? How would you advise us at
2 FDA to evaluate a proposed external data sources, and
3 what are some approaches to identifying and mitigating
4 bias in the use of external data?

5 Rebecca?

6 DR. HUBBARD: So there are obviously a
7 lot of considerations that go into the comparability
8 and the appropriateness of different data sources, and
9 I feel like today so far, we've been talking a lot
10 about the comparability of the patient populations,
11 drift in treatment effect or in placebo effect over
12 time, things about the contextual effects, et cetera.
13 But I think at least as important as all of those
14 things is considerations about the data quality, the
15 assessment, the timing of assessment, the methods of
16 assessment and so on.

17 So when I think about pulling in data
18 from external sources, a point that Herb made in his
19 presentation that I think is really important is, do
20 we feel confident that in these different data
21 sources, the outcome measure, key inclusion-exclusion

1 criteria, et cetera have been assessed in ideally the
2 same way, at least similar, but ideally the same way.

3 So I think the examples we saw this
4 morning were excellent because they provided kind of
5 the best-case scenario for having a set of external
6 data where you could feel fairly confident that things
7 were being done in a similar manner and hence, you
8 know, we're comparing apples to apples when we compare
9 across those trials or when we pool together those
10 data sources.

11 I think as we try to get more
12 aggressive and more innovative in using more modern,
13 novel, real-world data sources, it's where these
14 issues really become challenging because with the
15 exception of just a few really hard endpoints like
16 overall survival, comparability of almost anything
17 else is really, really challenging.

18 So when we think about comparability of
19 the patients, to go back to where I started off, we
20 might be able to access that explicitly, empirically
21 just using the data that we have in hand, but when you

1 think about comparability of the data source itself
2 and assessing data quality, that requires all sorts of
3 information on the metadata.

4 So we really need to know, you know,
5 where it came from, how it was collected. What did it
6 look like? What did the assessment look like? What
7 factors affect which patients get assessed according
8 to which timing? et cetera.

9 So from my perspective, that's a
10 really, really challenging task if we're using
11 anything outside of the context of a controlled trial,
12 you know, even at the point of pulling in registry
13 data, I think it becomes very, very challenging.

14 And I think I'll stop there because the
15 idea of identifying and mitigating the bias, I think,
16 becomes enormously challenging when you don't even
17 have a good handle on the quality of the data.

18 DR. SCOTT: Thanks.

19 Frank Bretz.

20 DR. BRETZ: Yeah. So I think that's a
21 great question, and I think Herb already mentioned

1 this this morning, the paper based with Pocock, but I
2 think there are several frameworks out there that
3 could help us in putting such a framework together for
4 our needs within pharmaceutical development.

5 Certainly, the FDA recent guideline on
6 externally controlled trials, I think there are some
7 considerations on the appropriateness of external
8 data. I think that's a great first step, but of
9 course, there are other frameworks like the Cochrane
10 Collaboration.

11 I think they have for a very long time
12 thinking hard about systematic meta-analysis, and they
13 have thought about, you know, important considerations
14 of historical data and similarity of data. And the
15 target trial framework is yet another one recently put
16 together by Miguel Hernán and others more on the
17 estimate framework or the cause of influence
18 framework, which I think allows us to disentangle
19 biases more into external biases versus internal
20 biases.

21 You know, what would have been the

1 ideal trial that if you could have wanted and how does
2 the data that you're connecting and how observation
3 study fit to that. I guess, there must also be other
4 frameworks in the real-world evidence, the real-world
5 data community.

6 I remember there was a whitepaper by
7 Duke-Margolis a few years ago, which, you know,
8 distinguished a bit between data reliability and data
9 relevancy. So I think there's lots of frameworks out
10 there, and I think it would be helpful to have one
11 framework within our context in pharmaceutical drug
12 development, but I don't think we need to reinvent the
13 wheel, so to speak.

14 DR. SCOTT: Thank you.

15 Roger.

16 DR. LEWIS: So I think that one has to
17 worry both about what data sources were chosen and
18 which ones were not chosen. And I think that -- I
19 think we're all worried about selectivity and choosing
20 data sources, but this is really a challenge to be
21 objective when frequently the treatment effects that

1 are suggested by different data sources are known
2 before the decision is made whether or not they're
3 going to be included.

4 And obviously, what we would like to do
5 is be able to write down a priori the criteria for
6 selection of data to be included, for, you know,
7 external data sources to be included without any
8 knowledge whatsoever of the direction of the treatment
9 effect that would be reflected by those data sources,
10 but that's rarely possible.

11 And I worry that it is relatively
12 straightforward in some settings to choose data
13 sources that are likely to be supportive of the
14 treatment effect that the sponsor is hoping to
15 demonstrate and then to retrospectively write criteria
16 for the selection of data sources that exclude
17 contrary data that the sponsor also knows exists.

18 And so for the second part of the
19 question, what would I advise FDA in evaluating them?
20 I would say the first is to make sure that the FDA is
21 aware of all alternative data sources that could

1 plausibly be related to the treatment estimate of
2 interest that have actually not been brought forward,
3 and that puts a tremendous burden on the agency in
4 order to find those.

5 And then building on the point that
6 Frank made, there certainly are systems for evaluating
7 similarity of data or data quality, and I think there
8 is work that could be done to look at the sensitivity
9 of analyses based on the variable inclusion of various
10 amounts of external data, with the inclusion gated by
11 different thresholds for similarity or quality, to
12 look at the sensitivity of the result based on those
13 decisions, but I worry much more about the data that
14 you don't see than the data that is brought in.

15 DR. SCOTT: Thanks, Roger. Yeah, in
16 terms of the selectivity, a thought experiment I often
17 try to pose to people is: Let's say you're developing
18 an Alzheimer's drug. You had a positive phase 2. You
19 want to borrow into phase 3. Should you also be
20 borrowing from the information you have that
21 Alzheimer's is a very difficult target; that there

1 have been many failed late-phase studies?

2 Would the answer to that depend on
3 whether the drug had the same biological target? I
4 think these are really important but hard questions.

5 DR. LEWIS: Or should you borrow from
6 all of the negative phase 2 studies of similar
7 compounds and downweigh your estimated treatment
8 effect and then not proceed at all?

9 DR. SCOTT: Right, exactly. We don't
10 want to be filled with despair, you know. I think
11 there are pathways to developing effective medicines
12 even for difficult targets, but knowing how best to
13 form our informative prior stance I think is very
14 difficult.

15 Herb.

16 DR. PANG: Yeah. I think Rebecca gave
17 a very comprehensive answer to one, so I won't add
18 anything more to that. And Frank and Dr. Lewis gave a
19 very good answer to the second one. One thing I want
20 to add is, think maybe one thing that FDA should also
21 evaluate is -- think the sponsor would also do

1 that -- is to also look at the alternative, which is
2 to not borrow at all; right?

3 So also consider that scenario given
4 that would be maybe the least biased in terms of the
5 data. And then for the third question about the
6 medication and kind of identifying strategies, I think
7 the CID program with the dynamic borrowing is a good
8 illustration of what can be used, but in addition to
9 that, like, understand the covariant handling
10 approaches on top of these approaches, propensity
11 score based or a covariate adjustment is as important.

12 I think also Dr. Lewis pointed out
13 earlier about with these approaches, sensitivity
14 analysis, thinking about the plan how to do that
15 appropriately is also very key to success, yeah.

16 Thank you.

17 DR. SCOTT: Thank you, sir.

18 Steve.

19 DR. RUBERG: Yeah. I'm going to focus
20 my comments about external data on what I think is
21 maybe could be most impactful in drug development and

1 that is the typical drug development program, perhaps
2 it moves from phase 2 and into phase 3. So I'm going
3 to talk about external data, but internal to the
4 company; right?

5 So there you have access to the raw
6 data and everything else; right? And I think that's
7 where there could be the biggest impact is to say, how
8 much can we borrow? Here's my drug for psoriasis, now
9 psoriatic arthritis, now something else, you know,
10 related to skin lesions or whatever.

11 You know, can I borrow from the phase 2
12 programs and the other phase 3 programs all around
13 them? I will say without talking, I don't think, out
14 of school, with my Lilly colleagues here. When I was
15 there, we often talked about the probability of
16 success when we had a positive phase 2 result.

17 Now, what do we think the probability
18 of success is in phase 3. And I think you can -- we
19 had kind of a checklist from my recollection that we
20 used, and I think the same kind of checklist can be
21 considered when thinking, well, then do you want to

1 borrow any data from phase 2 to phase 3?

2 And the checklist included things like
3 dose, route, formulation, and even batch processes;
4 that there were times when the manufacturing process
5 changed from the formulation for phase 2 to phase 3.
6 Geographic sites and countries involved, investigative
7 site types, research hospitals versus community
8 hospitals, et cetera, can have an impact.

9 Of course, inclusion-exclusion
10 criteria, any changes there. Disease state severity
11 or duration of disease or subgroups or biomarkers that
12 might be modified or focused on in the phase 3 trial,
13 study duration. The outcome variable, is it the same
14 or different? How different? What's the time,
15 course, and trajectory? Is it measured in the same
16 way, right, in short-term, long-term trials?

17 And then, you know, we would think
18 about this regression to the mean from phase 2 to
19 phase 3 or, hey, by the way, all these -- you know, we
20 got this great drug for stroke. Well, so did the 38
21 other companies that came before us had a biological

1 mechanism and a positive phase 2 study, but none of
2 them had a positive phase 3 study.

3 So somehow we got to take that into
4 account. And I think maybe an interesting one that
5 didn't think about, maybe six, eight, ten years ago
6 when I was working in the industry but has emerged
7 recently is the whole estimand and analysis approach.
8 I think that was mentioned a little bit here early, or
9 touched on.

10 But you're borrowing data from some
11 study that did a true intent to treat analysis versus
12 some study where the treatment effect was estimated
13 using MMRN and a different set of assumptions about
14 missing at random. Okay. Now, can I combine those
15 treatment effects, or how do I bind those treatment
16 effects, or somebody else used a composite -- I don't
17 know.

18 All the stuff that's emerged with
19 estimand and what is the treatment effect you're
20 estimating and how it's estimated now has heightened
21 consideration in my mind about, well, I just

1 can't -- when I don't have access to the raw data, I
2 can't just borrow that straight away without knowing
3 some of that.

4 If you're in the scenario that I
5 mentioned, which I think could have a big impact,
6 phase 2 to phase 3 within a company, you would have
7 access to the data. So you can analyze it however you
8 like to do that, but I guess I think it would be great
9 to focus on or have at least greater focus on this
10 typical drug development scenario, which could really
11 have a big impact on drug development broadly as
12 opposed to well, can I borrow some external oncology
13 trial that was done at MD Anderson? Now, somehow I
14 want to use that at Lilly. I don't know.

15 That's a harder problem, more
16 controversial, but since many companies do the phase 2
17 to phase 3 or multiple phase 3, I think it'd be very
18 interesting to focus on that and say low hanging fruit
19 or whatever. How can we make that work in a Bayesian
20 paradigm and be acceptable to all stakeholders that
21 are involved, so anyway.

1 DR. SCOTT: Thanks, Steve.

2 And Frank Harrell has something to add.

3 DR. HARRELL: Yes, thanks, John. If I
4 could share the screen briefly too, that would be
5 great.

6 DR. SCOTT: All yours.

7 DR. HARRELL: Thank you. So I just
8 wanted to put up an alternate viewpoint on how data
9 should be borrowed and suggest that we do it more with
10 raw data than using a summary of previous data, where
11 you summarize the posterior distribution from the
12 previous data and turn that into a prior for the new
13 study, and that is to do joint models of multiple data
14 sources.

15 And I think there's many advantages to
16 doing this and this relates to a comment I put in the
17 Q&A online, which is, I don't really trust
18 meta-analyses based on summary data. I really want to
19 see meta-analysis based on raw data.

20 So this is a related concept. So what
21 happens when you do joint modeling instead of using

1 priors for discounting in the way that we're talking
2 now is you can use standard simple priors in the
3 discounting. You don't need anything strange, power
4 priors or anything like that. You make the
5 assumptions a lot more explicit.

6 You're explicitly modeling the bias in,
7 say, historical data, and you can get more accurate
8 analysis by not assuming normality and such. And then
9 this is the most important thing: covariate
10 adjustment. I don't really trust the use of any
11 historical data unless there's careful covariate
12 adjustment to account for covariate drift.

13 And this is especially tricky when you
14 have non-linear covariate effects, things that even
15 propensity scores may miss can be very important to
16 adjust for covariate differences using the raw data.
17 So I just wanted to show very briefly what is it I'm
18 talking about here with simple joint data models.

19 You have a model for the randomized
20 controlled data, trial control patients. They have,
21 let's say, a mean μ sub C for the control arm normal

1 distributed response variable and then for the active
2 arm, you have some unknown μ_A for the active
3 arm. And then for the historical control data, you
4 just model it very explicitly that the historical
5 control data have a mean of $\mu_C + B$, where B is a
6 bias term.

7 So what you're doing here is just being
8 very obvious about the fact that we don't assume that
9 the historical control data are estimating the same
10 thing as what you're estimating from the RCT. So the
11 bigger this is, the more different it's estimating
12 something.

13 And so you have a prior on the bias,
14 and that prior is, like, a simple normal prior, which
15 will control the amount of borrowing, and you have a
16 lot of flexibility in how much borrowing, but just to
17 put limits on it.

18 If you have an infinite variance on the
19 bias, that means this historical data are completely
20 irrelevant, and they're not used at all. And if the
21 variance were zero, that means you're trusting the

1 historical data just as much as within study control
2 data because they're estimating exactly the same
3 quantity.

4 So I just want to make a suggestion
5 that sometimes we kind of rush into things and assume
6 that the way to harvest the powers of Bayes is by
7 having discounting priors, but I think the idea of
8 doing joint modeling, which Bayes allows this to be
9 done very flexibly and powerfully, including multiple
10 data sources, not just one extra data source as this
11 example indicated.

12 And then how would that extend one more
13 level to extrapolation? This is really dissimilar
14 sort of thing, but let's suppose that you're
15 extrapolating on a continuous variable such as age.
16 So you're talking about using adult data to inform
17 kids.

18 So at the root of extrapolation is,
19 what assumptions are you willing to make about
20 treatment by the extrapolating factor interaction. So
21 if you write down a joint model for all of this,

1 you're going to have the interaction effect beta 3,
2 traditional interaction effect, no restriction of beta
3 3 means the new study stands on its own.

4 It doesn't get driven by the, say, the
5 adult data. A skeptical prior in beta 3 means you're
6 borrowing information and so you're assuming there's
7 commonalty and similarity between the data sources.
8 And you could have another level of extrapolation that
9 allows for additional complexity, such as nonlinear
10 effects of the interaction.

11 And so just think about whether using
12 joint Bayesian models gives an attractive alternative
13 to the sometimes-difficult decision we have to make
14 about the family of priors that we choose for
15 discounting. Thanks.

16 DR. SCOTT: Thanks, Frank.

17 Karen.

18 DR. PRICE: Going to just add a couple
19 of quick points. So thank you, Steve, for sharing
20 some of the things that we're thinking about internal
21 at Lilly, and I think you're exactly right. I think

1 you're exactly right. A lot of things you would want
2 to think about in terms of whether or not to borrow
3 externally.

4 A couple of things that we do is, in
5 order to allow us to make the most informed decisions
6 about whether or not to move forward to phase 3 and,
7 if so, how, is we do systematic literature searching.
8 And that will get at the comments we've had of
9 ensuring we have a robust understanding of available
10 data.

11 And, you know, obviously, the FDA also
12 has access to more data at an individual patient
13 level, tying back to what Frank was just talking
14 about. It puts a burden on FDA, but maybe there's
15 something there for how are we able to then utilize
16 some of the internal data that you may have access to
17 that others wouldn't, or the individual sponsors
18 wouldn't?

19 The other thing -- or another thing
20 that comes up in addition to the points, Steve, that
21 you brought up, are now that we're moving to, and I

1 mentioned this in the talk as well, decentralized
2 trials or different ways of measuring, that's of
3 course going to add a component of what can we borrow
4 if the measure was done in person versus in a more
5 decentralized way.

6 And then the final point I wanted to
7 mention I think is very useful is to consider where
8 can we use structured prior elicitation conversations
9 to help inform whether or not external data sources
10 could be utilized.

11 I don't mean to formally borrow that
12 elicited prior, but rather to facilitate structured
13 conversations about, hey, if you knew this
14 information, and even, let's say in the pain type, if
15 you knew this information about a drug's performance
16 in pain type A, what do you think that means for the
17 pain type B?

18 And if they can't answer that question,
19 then that's very insightful, or if it's very variable
20 across experts, that's also very insightful. So
21 again, just a few additional thoughts on how to think

1 about whether or not to use data.

2 DR. SCOTT: Thank you, Karen. Those
3 are good thoughts. One small thing you mentioned,
4 FDA's access to blind data from sponsors, this has
5 come up in various settings in the past, and it turns
6 out there are significant legal barriers to us even
7 internally using other sponsors' data in the review of
8 an application, but it is a good thought.

9 Discussion question four: So I think
10 we actually covered some of this in the previous
11 discussion, but we'll see if there's anything to add.
12 So consider a phase 3 trial conducted after a very
13 similarly designed phase 3 or 2 trial of the same
14 treatment in the same population.

15 What are the advantages or
16 disadvantages of analyzing these trials independently
17 versus borrowing versus doing a meta-analysis? Does
18 anyone have thoughts?

19 Roger.

20 DR. LEWIS: Sure. And I don't want to
21 be repetitive with my earlier comment, but I think the

1 key consideration here is what I'll sort of a "unit of
2 evidence," which is starting with the sort of example
3 of two completely independent phase 3 trials there in
4 which there's no overlap in the patients enrolled, and
5 you get two independent estimates of what you assume
6 to be the same or very similar treatment estimand that
7 gives you particular statistical characteristics
8 regarding the strength of evidence under the situation
9 in which both trials give a positive result.

10 And that's sort of two units of
11 evidence. As soon as you borrow information, or you
12 make your primary analysis based on the combining of
13 information in any way, you no longer have two
14 independent units of evidence.

15 That may be a very, very good thing to
16 do when there are practical financial time-based
17 issues that make conducting two separate independent
18 trials either unnecessary or infeasible or suboptimal
19 for a patient population, for example, that doesn't
20 have access to an effective therapy.

21 But to me, it's not just a statistical

1 question. It's matching your approach to the
2 challenges of the area in which you're trying to
3 develop a treatment. What I would -- the second point
4 I'd make has to do with us trying very hard not to
5 fool ourselves.

6 And what I mean by that is if, for
7 example, you run a phase 2 trial or a phase 3 trial
8 and they are positive and therefore you decide to do a
9 second confirmatory trial, and you borrow information
10 from the second, you're just doing -- the only reason
11 you did that other trial is because of the first one.

12 And, Steve, you already mentioned
13 regression to the mean. I tend to think of it as the
14 fact that you failed to borrow from all the negative
15 phase 2 trials that you didn't carry forward. It's
16 the same concept.

17 But we tend to -- as human beings with
18 our inherent limitations, we tend to sometimes fool
19 ourselves in our enthusiasm and our hope to develop
20 effective therapies where we aren't really honest with
21 ourselves when we're double counting information or

1 discounting information that was negative and
2 therefore excluding it from our interpretation of the
3 future data.

4 And I just think we have to be very
5 careful to not do that so that we have as accurate
6 information as possible regarding the strength of the
7 evidence that we're generating.

8 DR. SCOTT: Thanks, Roger.

9 Steve.

10 DR. RUBERG: Yeah. What are the
11 advantages and disadvantages? I just jotted down some
12 notes here. Independent trials. Okay. So there's a
13 value to independent replication of results no doubt.
14 And in fact, that might be the strongest evidence one
15 can possible generate. In some sense, it's
16 conservative and safe.

17 It's solidly on scientific ground, et
18 cetera, but it may also be the most expensive, time
19 consuming and unnecessarily conservative. It often
20 includes more placebo patients and an experimental
21 drug trial, et cetera. So that's advantages and

1 disadvantages, perhaps, in a nutshell.

2 Borrowing phase 2 from phase 2 -- for
3 phase 3 trials, I don't know. It does make a lot of
4 sense to a lot of people, statisticians or
5 non-statisticians to build knowledge sequentially.
6 Science is kind of we build on each other, and we
7 stand on each other's shoulders for a totality
8 evidence, but assessing that totality evidence, I
9 emphasize, in a quantitative way, because I think it's
10 obvious that FDA, others inside companies, whatever,
11 you're always evaluating the totality of evidence.

12 It's just how quantitative or
13 qualitative are you at doing it? And of course, as
14 we've mentioned here, the Bayesian approach
15 assumptions and priors and weights are explicit and
16 clear. There's some benefits potentially for using
17 less time, fewer patients, and more direct probability
18 statements.

19 I'll just note that there's an American
20 Statistician article that I co-authored with Jack and
21 Karen and Frank Harrell and a few others, Lisa LaVange

1 and a few others. And in there, we have an example of
2 a lupus drug that two phase 3 trials didn't meet the
3 p-value less than 0.05. In fact, one of them had a p-
4 value of 0.051. You know, it was close about as you
5 can get.

6 And yet if you took a Bayesian
7 perspective -- now, this again, a retrospective
8 analysis, very, very modest borrowing from phase 2,
9 but then the first phase 3 trial was done; borrow from
10 that to make the second phase 3. And the probability
11 of a drug effect was -- I can't remember exactly -- it
12 was 0.99 or greater.

13 All right. So when you looked at phase
14 2, these two phase 3 trials that didn't quite make it,
15 it's clear the drug works. Now, you can have all
16 sorts of debates about safety profile, and what's the
17 magnitude, clinically meaningful. But if your first
18 question is answering does this drug work, there's a
19 really, really strong case to be made that the trials
20 that were done in the development program.

21 And yet, the drug was never ever even

1 submitted because we had two trials with p-values that
2 were above 0.05. So that's the -- is it a type 2
3 error; right? Those are the kinds of at least pros
4 and cons of borrowing or using independent trials.

5 DR. SCOTT: Frank Bretz.

6 DR. BRETZ: Okay. So maybe it depends
7 also a little bit on the context where these questions
8 could appear. So I'm thinking about if you have a
9 very difficult endpoint where you need a lot of sample
10 sizes, then, like, number of successive patient is
11 your -- the trial.

12 Maybe then a standalone trial or two
13 standalone trials would be difficult by itself, to
14 reach conclusive statements, and maybe that's a
15 possibility where we could combine information from
16 both trials, maybe later down, then -- give to primary
17 endpoints, FEV1 or some lung function parameter is
18 significant first.

19 So maybe that's one part of the answer
20 or one particular type of context. The other one I
21 was thinking about is, do we need -- or do we want to

1 differentiate between approval state decisions versus
2 labeling decisions. So maybe for approval decisions,
3 I think this area of application, that also what Steve
4 mentioned, I think it's hugely variable, but when it
5 comes to prescribing information or, like, just
6 information for investigators and physicians later on,
7 would it be then be helpful on -- you know.

8 If you feel comfortable of pooling the
9 data and have more precise treatment effect estimates
10 that we can provide to different stakeholders. So
11 maybe the answer depends a little bit of the context
12 or various context.

13 DR. SCOTT: Thanks. I think that's a
14 good point. And it reminds me of, you know, labeling
15 for subgroups and possibly using things like shrinkage
16 models for that.

17 I think we'll move onto the next
18 question. How should exchangeability be accessed in
19 late-stage trials that borrow external information?
20 Are some methodologies more robust than others to
21 violations of exchangeability, and what should be done

1 in cases where there is strong evidence of
2 heterogeneity between prior data sources and trial
3 data.

4 And I'm going to ask Roger to weigh in
5 first to make sure we're starting from a common
6 understanding of exchangeability.

7 DR. LEWIS: Thanks, John. So often
8 when conversations of exchangeability come up,
9 especially with folks who didn't study and suffer
10 through this in graduate school, there is confusion
11 between the concept of exchangeability and similarity
12 of the patient populations.

13 All the criteria, for example, we've
14 talked about when making a decision whether external
15 data is similar to the data in the current trial. But
16 exchangeability here means that based on what's known
17 about the sources of data, one cannot make an informed
18 decision about the direction of the inequality of the
19 treatment effect based on the different data sources.

20 So if you have trial A and -- or data
21 source A and data source B, and you estimate the

1 treatment effect from each of those, if you cannot
2 know, based on what you know about the sources of
3 those data, which one of those is going to show the
4 larger treatment effect, then those are exchangeable.
5 It does not mean the treatment effects are equal. It
6 means you can't tell based on what you know which
7 direction the inequality will be.

8 So it's a very specific criteria that
9 is a necessary -- it's a foundational piece for the
10 validity of many of the kinds of models we've talked
11 about, like hierarchical models. The reason I make
12 that point is that there's many situations in which we
13 know the data sources are different.

14 One is from one geographic region; one
15 is from another geographic region. One, something is
16 being used in one kind of outpatient center, whereas
17 another one, the data source is a different type of
18 outpatient clinic, but we actually have no idea what
19 that means.

20 From a modeling perspective, for the
21 treatment effect, those are exchangeable data sources.

1 And therefore, in that setting, it is both reasonable
2 and appropriate to form hierarchical models that
3 require exchangeability. And it's important to avoid
4 falling into a common -- or in a conversation or
5 sometimes a heated conversation, about the equivalence
6 of the data sources.

7 DR. SCOTT: Thanks.

8 Jack.

9 DR. LEE: Yeah. Actually, more
10 technically, like, you know, the definition of
11 exchangeability by divinity, you know, kind of fun
12 letters, you know. So I think that one can -- another
13 way to think about this is this: It's a weaker
14 assumption than IID, right, identical independent
15 distribution, right.

16 So, for example, we can draw the
17 response rate of the different cohort from a common
18 distribution; right? And then you draw the sample
19 from that -- after you draw that -- the parameter from
20 that distribution. So you know that without knowing
21 which is which, then it's exchangeable. That's why

1 it's called exchangeability, right.

2 So we know that the Bayesian
3 hierarchical model is built under the exchangeability
4 assumption. So when exchangeability is not met, then
5 one can do many different things, right. Like, for
6 example, the cluster Bayesian hierarchical or more
7 recent -- actually, not that recently.

8 It's called multisource exchangeability
9 model, right, so it can identify which subgroups, you
10 know, are exchangeable, which subgroups are not, and
11 they model accordingly. And also a related thing is
12 that, again, when we talk about external data, then we
13 worry about the measured cofounders and the unmeasured
14 cofounders, right.

15 So for the measured cofounders, we
16 typically use, say, propensity score matching, or
17 regression method, try to adjust for that. But for
18 unmeasured cofounders, then we are stuck, right. I
19 mean, so people use, like, a "robustified" version of
20 the hierarchical model, try to address the unmeasured
21 cofounders. And these things are all kind of

1 intertwined together, okay.

2 And there are more recent method that,
3 for example, like, the SAME approach, right,
4 self-adapting meta-analytical approach or some kind of
5 elastic hierarchical model. You know, these are all
6 different methods, try to address the, you know,
7 "exchangeability" or measured, unmeasured confounders
8 and try to get the good estimate, efficient and
9 accurate estimate, of whatever estimate we are
10 interested in.

11 But that being said -- actually, I was
12 going to make a comment early on that is, no matter
13 how good the statistical method is, we all know it
14 cannot substitute good data, okay. So that's still,
15 you know, very important that, you know, there are a
16 lot of good statistical methods and really advanced
17 statistical methods that's been developed, and we
18 should know about this and use it appropriately, but
19 no statistical method can rescue bad data.

20 DR. SCOTT: Yeah. At the end of the
21 day, it's really important that a drug works.

1 DR. LEE: Right.

2 DR. SCOTT: Anyone else?

3 Karen.

4 DR. PRICE: Maybe just a couple
5 comments, especially on this last piece. And maybe
6 I'm not fully tracking, except that I think what that
7 means is, if we have prior data, we observe our
8 current trial and they look very different, what do we
9 do?

10 And I think that's -- one thing that's
11 important is if that happens, it shouldn't be a
12 surprise what you would do. And what I mean is, when
13 you're designing the trial, you should look at those
14 observed cases and understand how does the borrowing
15 of this information with potential outcomes of my
16 trial -- when did I meet the CSF or the critical
17 success factor, the probability threshold when
18 borrowing versus when I don't, and oftentimes, that's
19 in that borderline region.

20 They're usually not -- I mean, I guess
21 maybe if you're pooling or there could be cases, but

1 it's usually in those borderline regions where the
2 borrowing gets you over the threshold, or you may miss
3 because it doesn't always go the direction of
4 achieving the threshold, but we should know that.

5 And so I think mitigating against that
6 in the design phase is important in understanding
7 what's going to happen when the outcomes come; that
8 you've looked at that and really understand that. So
9 that would be my suggestion there is to make sure that
10 you understand what that would look like, what we
11 would do, and could even have a decision tree in place
12 for here's what we would do in these various cases.

13 DR. SCOTT: Thanks.

14 Dean.

15 DR. FOLLMANN: Yeah. I guess, you
16 know, this is sort of taking as a precondition that
17 exchangeability is what you want to -- do you want to
18 borrow information? I think a lot of settings you can
19 do -- if you can do a randomized trial at two to one,
20 why not just make the control group a bit bigger, and
21 then you have no issues about exchangeability or, you

1 know, what the interpretation of the study would be.

2 So I think, you know, I think -- I feel
3 like I'm different from most people on the panel, that
4 I think external data borrowing, I worry that they
5 degrade the importance of randomization, which I think
6 is really precious and that we want to elevate that
7 and keep it, you know, very solid.

8 And I think of borrowing data as sort
9 of degrading randomization, and it should be reserved
10 for very special cases where there are no
11 alternatives. And we can do a two to one
12 randomization. You can do a one to one randomization,
13 properly power it. Maybe it takes longer, more money
14 or whatever, but I'm just very weary of degrading a
15 randomization.

16 Randomized trials, something that
17 we've, you know, come to appreciate, has been
18 responsible for a lot of great drugs over the decades
19 and so on, and I think it's at our own peril we sort
20 of just go and ignore that. And just I would prefer
21 to reserve it for very special situations.

1 DR. SCOTT: Sure. Can I ask what kind
2 of special situations?

3 DR. FOLLMANN: Well, like, earlier
4 today, I thought we had a nice example where the
5 primary endpoint wasn't subject to borrowing, but a
6 secondary endpoint was. And I can sort of see it for
7 that, I think.

8 For therapies that have very great
9 large effects, maybe you can get away with seeing a
10 very high cure rate in the experimental arm and then
11 augmenting it with maybe some historical controls, or
12 possibly rare diseases, but, you know, it's sort of,
13 what is the universe's special situations where I
14 think it would be?

15 I don't really have a good catalog of
16 that, but I think it should be, you know, reserved for
17 special situations. And not just sort of, let's build
18 it into all the trials that we're going to do going
19 forward, and the tone of this meeting to me is really
20 that, like it's a given.

21 We're going to go this way, and let's

1 just, you know, think of randomization and the
2 importance of randomized trials in the rearview
3 mirror, and I don't think that way.

4 DR. SCOTT: Yeah. I think that's kind
5 of the nature of the discussion topic, but the
6 majority of trials, we certainly still do not do this,
7 but --

8 DR. FOLLMANN: Well, I mean, this is
9 meant to be a little provocative, I guess.

10 DR. SCOTT: No, no, that's a good
11 point.

12 DR. FOLLMANN: You want to get the
13 discussion going.

14 DR. SCOTT: Yeah. I think, Roger.

15 DR. LEWIS: Yeah. I just want to
16 clarify my earlier comment. You know, when I was
17 thinking about exchangeability, I was actually
18 thinking about exchangeability, for example, of
19 subgroups, where all subgroups have data that's
20 randomized within the trial.

21 I was thinking, for example, of

1 Dr. Price's set of three different pain types and the
2 exchangeability of the treatment effects between those
3 same types. So I actually didn't -- even though the
4 end of the question is specifically talking about
5 heterogeneity between prior data sources and trial
6 data, I didn't assume any of that would be necessarily
7 not randomized.

8 The second comment I'd make, and maybe
9 this is also an attempt to be provocative, is when we
10 talk about the value of randomization in terms of
11 improving the likely balance of unmeasured covariates
12 or prognostic factors, I think it's a very strong
13 argument.

14 When we talk about it as a cornerstone
15 of our ability to successfully develop effective
16 products, I think we tend to forget about all the
17 products that haven't been developed because the
18 barriers posed by randomization, so it's very easy to
19 be aware of the successes.

20 What we don't know is what would've
21 happened had we allowed there to be more flexibility

1 in this regard over the last few decades, I don't know
2 if we'd be in a better place or not, but we
3 don't -- you know, one of the things about time is you
4 don't get to retry the last few decades again to see
5 how it would be, so it's important not to just look at
6 one approach and assume that it was the best path
7 forward.

8 DR. SCOTT: This is provocative because
9 lots of people want to talk now.

10 But, Dean, go ahead.

11 DR. FOLLMANN: No. I don't agree with
12 that. It's sort of a big perspective on how important
13 is type 1 error rate versus the type 2 error rate if
14 you want to put it that way, and I think FDA
15 traditionally has a certain view. And so when you say
16 something about a drug, it means yeah, yeah, for sure
17 it works.

18 And, you know, we're not talking about
19 all the drugs that you didn't get approved. And it's
20 a fair question, I guess. Suppose if you want to
21 change that paradigm, it's that sort of calculus about

1 the importance of those two errors and, you know, if
2 you move in a different direction, it's sort of a
3 different kind of FDA, the way you speak, the
4 authority you have will be different. And maybe it's
5 better and maybe it's not, but it's -- it would be a
6 change.

7 DR. SCOTT: We definitely have very few
8 ways of estimating type 2 errors in the population. I
9 find different people have different intuitions. My
10 intuition is, we don't miss a lot of great drugs, but
11 beyond that, I'm not so sure.

12 Steve.

13 DR. RUBERG: Yeah. I don't want to
14 diminish in any way the importance of randomization,
15 incredible development in science and what we do. I
16 do think -- and I have to remind myself -- it's a
17 tool. It's a means to an end. It's a very powerful
18 tool, and it's a means to an end, but the end is
19 estimating what is the treatment effect.

20 Did this treatment cause that outcome?
21 How big is that effect? And then is it statistically

1 credible? Is it biologically, medically, whatever,
2 meaningful, et cetera, et cetera. And it's
3 worthwhile, or it's okay to bring other kinds of ways
4 of evaluating or quantifying evidence to help answer
5 that question.

6 And even in randomized controlled
7 trials that produce p-values or whatever, as I've
8 pointed out and given some examples earlier, everybody
9 wraps their head around the context of how to
10 interpret that p-value intuitively, experientially,
11 whatever.

12 The trial from South America has a lot
13 less credibility than the trial, you know, from a
14 biological basis. So I absolutely insist on doing
15 randomized controlled trials. I love randomization.
16 It's a tool.

17 It's a means to an end, and there's
18 other means that -- other tools in the toolbox that
19 help us -- that can help us answer quite credibly the
20 question, did this treatment cause that outcome, that
21 outcome being an efficacy outcome or a safety outcome,

1 an adverse event, or whatever it is.

2 DR. SCOTT: Thanks.

3 Herb.

4 DR. PANG: Yeah. So I think for the
5 last question here, thinking about our case for the
6 CID, which is using it for overall survival, because
7 we don't anticipate very compelling treatment effect,
8 so when we do the investigation, we decide on using a
9 more conservative prior, even though, right, the
10 external control is a very, almost like a very ideal
11 situation.

12 So I just want to bring out that point
13 that, like, I think depends on the treatment effect
14 scenario. Like, like, that's really also an important
15 aspect, to decide on where, how you should borrow, and
16 what should borrow from or not, right. Thanks.

17 DR. SCOTT: Thanks, Herb.

18 Oh, Karen, yeah.

19 DR. PRICE: I'll just make one more
20 quick comment kind of on this topic of how we think
21 about these various errors and the impact of borrowing

1 or not borrowing. And so we do have an example where
2 we wanted to borrow phase 2 information into a phase 3
3 trial. The primary endpoint was death. We weren't
4 able to do that, so the trial ran longer.

5 It was bigger, and we ultimately
6 exposed more patients to placebo. And what we had
7 wanted to do was more quickly move on to better
8 understand the dose and have it be more of an active
9 comparator trial. So we ultimately didn't even
10 generate that evidence of comparing the -- having an
11 active comparator trial because the placebo portion
12 ran longer.

13 So I think there's also an element of
14 evidence that just is not able to be understood better
15 when there was a clear effect. And it was a very hard
16 endpoint, probably a really important case where we
17 could've done it, but because it wasn't understood,
18 and we didn't get the alignment. We didn't.

19 And I think those are also missed
20 opportunities to think about as well as drugs that
21 aren't approved, what evidence are we not generating.

1 DR. SCOTT: Thanks, Karen.

2 Okay. The next question is a little
3 specific, but important in the context of Bayesian
4 trials that borrow external information in particular.
5 So the question is, if you are borrowing information,
6 and your prior distribution in some way governs the
7 amount of borrowing, either sort of explicitly as in a
8 power prior, or somewhat indirectly in a variance
9 parameter in a hierarchical model, how do you choose
10 those parameters?

11 Should you do it by quantifying the
12 amount of data or the effective sample size that's
13 borrowed, and if so, how do you do that, especially
14 for the dynamic borrowing cases? And if you were in
15 my shoes and somebody was saying, we're going to
16 borrow X percent, how would you evaluate that
17 proposal?

18 Roger.

19 DR. LEWIS: So I'll take the first
20 crack at this and therefore be able to choose the
21 simplest part of it. From my point of view, the key

1 is to evaluate it from multiple different ways. So
2 the work we do, we tend to be using dynamic borrowing,
3 so we're in that situation where we're putting a prior
4 on the variance parameter for the borrowing, and so
5 the amount of borrowing is not a fixed thing. It's a
6 data-dependent thing, depending on the alignment of
7 the different data sources, whether it's historical
8 and current or in different subgroups.

9 So in order to understand the effect of
10 the choice of, for example, that variance parameter,
11 you have to look at many different case examples that
12 have different underlying treatment effects so you can
13 see how that choice affects the behavior both when the
14 data are concurrent from the different -- I'm
15 sorry -- concordant from the different sources or
16 discordant.

17 In my view, the calculation of an
18 effective borrowed sample size, especially in that
19 setting where it's a variable number is only one small
20 piece. I think it's useful when it can be calculated
21 in a way that's transparent. I think it's a way of

1 communicating the amount of borrowing, but it does not
2 replace just looking at lots and lots of different
3 examples and seeing how your choice actually performs.
4 And then in the criteria for what is good performance,
5 I think it is strongly influenced by the scientific
6 and clinical context of the development program.

7 There are settings in which we have
8 good reasons to believe based on our understanding of
9 the underlying biology, the behavior of different
10 therapies, for example, within class, that a larger
11 amount of borrowing seems to make sense because we
12 think we understand how things work, and then there's
13 other settings in which the underlying mechanisms may
14 be much less well characterized.

15 There's less experience in developing
16 therapies in the area where a smaller amount of
17 borrowing or a requirement of greater evidence of
18 concordance between the data sources ought to be
19 required before we allow the dynamic borrowing to add
20 much effective sample size, so I think there's not a
21 single right size.

1 I think it's informed by the science,
2 and I think to communicate the effect of borrowing,
3 whether it's to your investigator team and
4 collaborators, or to regulatory agencies, requires a
5 multidimensional presentation of the actual
6 performance of the borrowing strategy.

7 DR. SCOTT: Thanks, Roger.

8 Jack.

9 DR. LEE: Yeah. I'd first like to talk
10 a little bit about how to quantify the amount of
11 borrowing, yeah. You know, of course, effective
12 sample size is a very intuitive way and also natural
13 way to quantify it. But there are also other ways to
14 do that by constructing different kind of borrowing
15 index.

16 So, you know, we and others have
17 trying -- you know, working on this area, like, using
18 the overlap index and try to come up with some index.
19 It's sort of like correlation coefficient, okay. You
20 like to -- that correlation coefficient, we know it's
21 between minus one and one, right.

1 And the borrowing index, you know, we'd
2 like to make it between zero and one, right, either no
3 borrowing or full borrowing, right. But how to do
4 that, still there's no clear way or no one way of
5 doing it, and I think still that's a active research
6 area in terms of how to quantify.

7 You know, what's a various way to
8 quantify the amount of borrowing and particular, under
9 the Bayesian hierarchical model with a cluster, with
10 clustering. You know, how do you quantify this amount
11 of borrowing at the cluster level and at within the
12 cluster?

13 So we have some work in this and it's
14 still -- you know, our work's still under review. But
15 I think it would be nice to have a more objective way
16 to measure, you know, the amount of borrowing. And of
17 course, you know, we all do sensitivity analysis,
18 right.

19 I mean, once we -- to measure and
20 quantify the influence of prior and -- but again, it
21 would be nice to have some more development in terms

1 of how to quantify the amount of borrowing.

2 DR. SCOTT: Thanks, Jack. As difficult
3 as the methodological and quantification problem is, I
4 honestly get more stuck on the next step, which is a
5 sponsor comes in and says, you know, we propose to
6 borrow 40 percent of the phase 2 data. And some
7 people can look at that and say, "Oh, that's too
8 much." And I have no idea how you do that.

9 Frank Bretz, I think you had something
10 to add.

11 DR. BRETZ: Certainly not an answer to
12 your question.

13 DR. SCOTT: I wish you would.

14 DR. BRETZ: But it's more pragmatically
15 speaking. I think it's, you know, maybe three things
16 I wanted to say. First, I think it's probably helpful
17 to go through different hypothetical data scenarios,
18 so you do understand a little bit by, you know,
19 borrowing that much, of that much information, you get
20 that sort of positive trial result in the end.

21 I think you get a better understanding

1 also of the influence of the prior, so to speak. So
2 that would be one answer. Another one, and maybe Herb
3 said that before. I would certainly also look into
4 what's the outcome if I would not borrow any
5 information just a way to -- as a benchmark. I think
6 that would be of interest to me.

7 What I also think is it would be good
8 to understand, should we put a hard limit on the
9 maximum amount of information that we can borrow from.
10 You know, think about a situation where you have, say,
11 a handful of trials between 2000, 2015, and today's
12 2024, and those handful of trials among themselves
13 don't have much of heterogeneity, so they look pretty
14 similar.

15 Doesn't mean that we can just do fully
16 borrowing, so to speak, without any discounting.
17 Well, nine years later, maybe not. Doesn't sound very
18 reasonable, but then the question is, well, if that is
19 not reasonable, what do we do? And should we limit
20 the effective sample size of the historical controls
21 or limit influence of the prior on the posterior.

1 For example, insisting that a certain
2 minimum percentage of total information should come
3 from trial information. And so I think understanding
4 this would be important considerations, I guess. So I
5 don't have any answers, just more questions and maybe
6 some pragmatic solutions.

7 DR. SCOTT: Thank you.

8 I think Frank Harrell has something to
9 add.

10 DR. HARRELL: Yeah. I think mixture
11 priors have an advantage here because the mixing
12 proportion is the probability of applicability of the
13 other data you're borrowing from. And I would
14 encourage everyone to look at the work of James Travis
15 and others in the Office of Biostatistics CEDR, who
16 have some really nice examples in pediatric studies
17 borrowing from adults, where I think James and his
18 colleagues also did a study of eliciting the
19 applicability probability from experts.

20 And I think it's important to do this
21 kind of elicitation exercise to get the amount of

1 borrowing from people that don't have any vested
2 interest, and maybe you're not even informed about the
3 previous results or the current results.

4 DR. SCOTT: Thanks, Frank.

5 Steve.

6 DR. RUBERG: Yeah. We talked a little
7 bit last night at dinner and one of my favorite
8 statements to make is evidence is continuous;
9 decision-making is dichotomous. You're in a situation
10 where you've got historical data, external data,
11 whatever you want to say.

12 You have evidence that exists on a
13 continuum, and you want to know how to map that down
14 to a here's what I should borrow decision. And I
15 guess how I would advise regulators, I would say,
16 don't look for that objective answer because it won't
17 exist.

18 There's no mathematical formula that
19 says, here's how you take any and all kinds of
20 evidence, and therefore it maps to 33 percent
21 borrowing of this data. There's always going to be

1 subjective elements that people are going to look at
2 the same evidence and weigh it different ways. People
3 are going to look at, as Frank was saying, the
4 temporal lapse.

5 And some people are going to say, "Oh,
6 but therapy hasn't changed that much over the last
7 decade," or "This is a standard therapy." Other
8 people are going to go: "The medical world's a lot
9 different than it was ten years ago. You can't use
10 any of it."

11 So I think you're going to be stuck in
12 just having cross-functional collaborative thoughtful
13 conversations, and I guess I would say in the end, as
14 a regulator, you're probably going to have to think
15 about, what's the maximum that I'd be allowed to
16 borrow without kind of being excessive or whatnot.
17 What is that upper threshold? And there's no magic
18 answer.

19 DR. SCOTT: So, Steve, I agree, and I
20 respect your answer, but we have -- there are two
21 problems. One is that a decision has to be made at

1 the design state, but the other problem is, it's not
2 always clear how to structure the scientific
3 discussions, even to distinguish between 10 and 90
4 percent of the borrowing. It's like picking a number
5 out of a hat. And the question to me is, how do you
6 structure the scientific discussion about that? And
7 it's a hard one. I'm not faulting you for not
8 answering.

9 DR. RUBERG: Yeah, yeah. And the only
10 thing I can say is, you know, the list of things that
11 I mentioned earlier about dose and population and
12 duration and outcome measure and trying to understand
13 what those similarities or dissimilarities and at
14 least getting some judgments about that's a big jump,
15 a leap in faith, or that's not such a leap in faith or
16 whatever.

17 So yeah, I mean, I recognize it's hard
18 to do, but I guess you're in the regulatory chair and
19 ultimately you can say, here's our best understanding
20 internally, and that's what we're willing to agree to
21 and so be it.

1 DR. SCOTT: Roger.

2 DR. LEWIS: Just very quickly. I think
3 that one of the challenges is that intuition on a
4 percent borrowing scale doesn't work very well for
5 most people. And one of the things that, if I
6 understood correctly, Frank Harrell's suggestion about
7 the reparameterization of the down weighting, where
8 you explicitly have a prior on the degree of
9 discordance between the treatment effects estimated
10 from the historical and the current data, is that may,
11 for some, provide a more intuitive way of thinking
12 about how different are different degrees of
13 discordance.

14 How plausible are different degrees of
15 discordance between the data sets, and it's possible
16 to take -- I believe that it's possible to take that
17 formulation where people may be able to give you an
18 informed opinion and actually recalculate it as a
19 percent, basically variance inflation or effective
20 sample size discounting.

21 So it may allow you to map it to the

1 parameter that you want, which is this percent
2 discounting, but based on opinions on a parameter
3 people actually may have some intuition about.

4 DR. SCOTT: Thanks, Roger.

5 And, Dean.

6 DR. FOLLMANN: Yeah. So this might be
7 kind of a long-winded answer but if you had, like, a
8 platform trial that was doing borrowing and did maybe
9 20 trials, you could look at the treatment effect
10 estimates for those 20 trials based on borrowing along
11 with their uncertainty. You could also make the 20
12 trials with zero borrowing.

13 You get 20 estimates, and they would
14 have more uncertainty. And I'm thinking, like,
15 harkening to the Efron and Morris paper where you
16 looked at batting averages in April and some
17 were -- you know, you could look at the actual batting
18 averages or shrink them, and the shrinkage estimates
19 were better when you looked at the batting averages in
20 September.

21 So now we have, like, two competing

1 sets of 20 estimates. How can we see which one is
2 better? Maybe you could do, like, a meta-analysis of
3 the unshrunk estimates, which are going to be
4 unbiased, and then with that meta-analysis, you might
5 get more complex and say, yeah, some treatments are
6 going to be null; some are going to be non-null.

7 So you do a two point mixture
8 meta-analysis and say, this is my truth. These are
9 the two means for the successful ones and the
10 unsuccessful ones. Which line up better: the
11 shrunken estimates that are 20 of those, or the 20
12 unshrunk estimates.

13 So it's a thing in principle that one
14 could do under certain circumstances, and I think it
15 would be interesting to do. It would be something
16 that would maybe validate or support borrowing in an
17 empirical sense, but I don't think it would help you
18 tomorrow necessarily. But anyway, that was just a
19 comment.

20 DR. SCOTT: Great, thank you.

21 And, Steve.

1 DR. RUBERG: Yeah. One last quick
2 comment. It just comes back to me now from my days
3 working at Lilly, but there was a publication in the
4 early to mid-teens, 2012, '13, '14. I remember the
5 lead author was a guy named Hay and some others
6 published in Nature Drug Reviews, I believe.

7 And I looked at 5,200 drug development
8 programs over a 20-year period and look at the
9 transition probabilities from phase 1 to 2 to 3 to
10 approval by therapeutic area: autoimmune, oncology,
11 you know, et cetera, et cetera, et cetera, by
12 biologic, by new molecular entity.

13 And I remember using that article, and
14 people would say: "Okay, so what do you think the
15 chances are we'd have some positive phase 2 result.
16 What are these things' chances they're going to be a
17 success in phase 3?" In some sense, what's the
18 prior -- what's your prior for doesn't really work?

19 And I'd say, "Well, I'm going to start
20 with this article, and it says 17 percent." You know,
21 of all the autoimmune drugs or oncology drugs or

1 breast cancer drugs, you know, 17 percent that were
2 successful in phase 2 actually went on and were
3 successful in phase 3 and commercialization.

4 So in some sense -- you know, and
5 people would come, teams would come: "Oh, we got the
6 right mechanism action. We got the right this," you
7 know, blah, blah, blah, blah, blah. I'd say, "Okay,
8 well, let's see." In this study, the other 179
9 molecules that had that same notion, only 17 of
10 them -- percent went on.

11 So I used to tell people like, "That's
12 where I'm starting from, and maybe I'll give you a
13 little leeway up or whatever, but you better have some
14 really compelling arguments because history shows
15 that." So anyway, don't know if you could use that,
16 but I do remember having some conversations with teams
17 inside the company and saying, "Okay, I just want you
18 to be clear. Here's my starting point is the
19 historical data in the pharmaceutical industry for
20 this disease state or this whatever."

21 I think that article by Hay and all has

1 been updated with some more recent kind of trends or
2 patterns and approvals and things like that as well.

3 DR. SCOTT: That's good. Yeah, I
4 remember that one. I actually remember wondering,
5 what if you shrink all of those therapeutic areas
6 toward each other, how different were they actually?
7 Although I think oncology was an outlier. Okay. So
8 we definitely want to get to this topic before we run
9 out of time. Under what circumstances can clinic
10 trial simulations provide enough confidence in trial
11 operating characteristics to support a confirmatory
12 trial design proposal?

13 I think, Frank Bretz, you may have some
14 insight on this one.

15 DR. BRETZ: Yes, some sort. Maybe not
16 insights, but --

17 DR. SCOTT: Close enough.

18 DR. BRETZ: Yeah. So maybe first of
19 all clarifying that I do think clinical trial
20 simulations sometimes are needed, and I emphasize this
21 for demonstrating type 1 error control. I emphasize

1 this because I know many colleagues, at least in
2 Europe, who believe that they would not accept a
3 confirmatory trial if there was not analytical proof
4 of type 1 error control.

5 And I think it would restating that
6 sometimes clinical trial simulations could help us in
7 not demonstrating type 1 error control, but at least
8 supporting false positive claims, and that they are
9 limited or controlled. With that out of the way, then
10 of course there are some settings where we do have
11 analytical type 1 error controls and sometimes maybe
12 where such a proof is not available, then we should
13 run simulations.

14 And I think sometimes there's also
15 confusion in the sense that many people believe
16 that -- or if I use frequentist methods, by
17 definition, I have analytical type 1 error control,
18 and if I use Bayesian methods, by definition, I have
19 to run simulations, and I don't think this is
20 necessarily true.

21 I do believe that there are Bayesian

1 methods out there if you conjugate prior or so, then
2 you do can -- yeah, you have a closed-form solution
3 and vice-versa. There are frequentist methods for
4 which you don't have analytical type 1 error control.

5 So -- and then I think you should have
6 a good framework for planning, conducting, and
7 reporting simulation studies. And I think the three
8 case studies today were excellent examples of, you
9 know, how simulation studies could be planned,
10 conducted, and reported efficiently in a good way.

11 And I think that starts with having the
12 questions upfront, explicitly stated what the
13 simulation study is supposed to answer, understanding
14 what are the candidate trial designs or analysis
15 approaches, including a benchmark design like maybe we
16 just talked about, not borrowing external data as a
17 benchmark, just like a -- you know, a standard RCT.

18 I think such a benchmark design should
19 always be included. We should understand the key
20 operating characteristics that you would like to
21 simulate. And then we talked about borrowing

1 information or where you get the external information
2 from if you have some, so document any existing
3 knowledge so that you can also describe the scenarios
4 that you want to run your simulation study for.

5 So this is all very structured process
6 at the design stage. And then, I mean, a simulation
7 study is almost like a clinical trial study. It's an
8 experimental design, so we should really plan for
9 that. And but then consider implementation, we should
10 also be careful about implementing the simulation
11 study.

12 We should have details about data
13 generating process, for example. Often, I just see
14 someone just starting simulations and I don't know
15 what they're simulating actually from. So
16 understanding data generating process is important and
17 then how you actually report and summarize those would
18 be very important.

19 I can easily imagine, you know, people
20 being bombarded with simulation results and then not
21 knowing what to do with all this. So -- and I

1 saw -- we saw some great examples.

2 I think, Karen, you showed this. The
3 Shiny app, which was very excited to see, where you go
4 through the different possibilities, and you see some
5 results in an interactive way. So anyway, it's a long
6 answer, with some opinions.

7 DR. SCOTT: Thank you.

8 Jack.

9 DR. LEE: Yes. Following what Frank
10 just said, again, you know, what evidence or what kind
11 of simulation is sufficient, provide enough
12 confidence. And, you know, the simple answer is that
13 you need to cover all bases, right, and do it in a
14 kind of fair way, right.

15 And but, you know, I think that I want
16 to make a comment or have some discussion about the
17 software availability, okay. So if I were a
18 regulator, I'm sitting, you know, at FDA, okay, at a
19 desk, and then I receive this elaborate simulation
20 scheme and, you know, I think that first I want to
21 understand it, but second, I want to reproduce it.

1 And third, I may want to try something
2 that's not specified, you know, in that packet, right.
3 So without a easy, accessible, this is not possible,
4 right. And oftentimes, the CID can get very complex
5 very quickly, right. So if I have to depend on
6 whatever the sponsor submit, I feel I'm a little bit
7 uncomfortable. You know, I want to be able to
8 reproduce it, and I want to be able to run it using
9 different parameter settings, right.

10 So we know that there are some
11 commercial available software like BaCIS, you know,
12 Cytel software, but then not too many -- but this can
13 be expensive. So, you know, I would like to see more,
14 like, open source, freely available software. And in
15 this regards -- well, a little bit self-promoting is
16 that at Anderson, we have -- it's trialdesign.org
17 website, which is completely free.

18 And, you know, has many available
19 software, including many of the hierarchical-based
20 models, you know, basket trial, platform trial, you
21 know, things like that, but it's far from really a

1 complete suite, you know, that allow, like, a sponsor
2 or whoever interested in running.

3 It has many good element, but I feel
4 nowadays that we need to have more this type of
5 software, and I just want to mention a few more. For
6 example, like Octopus, you know, like it's available,
7 it's open source by Kyle Wathen.

8 And Herb talk about psborrow2, right,
9 and that's open source. And there are quite a few R
10 packages like basket, you know, the multisource
11 exchangeability model, and there's a recent one called
12 Simple, okay, or NCC, you know, and classical Bayesian
13 hierarchical models CBHM and the BaCIS.

14 There are a bunch of them that
15 available as R packages, but so far, I still think
16 that we need to have a more -- this kind of freely
17 available software and empower the, you know,
18 stakeholders to really learn and study this. Okay.

19 Lastly, again, as I said, I'm very
20 impressed with the Shiny app that Karen present, and
21 this is good, and there are more and more such kind of

1 thing available. But, you know, Shiny app is at the
2 point-and-click phase, right. So it's very easy to
3 use, and it can provide beautiful, you know, graphics
4 and table, graph, et cetera.

5 But in the CID, I think many time -- I
6 also look -- I like to have kind of batch program
7 because many of these can take a long time to run,
8 right. And then, you know, I think what's lacking is
9 if you -- no, if there are some way to run it as a
10 batch and it can come up with a reproducible result,
11 and that would be great, so we need both.

12 We need to have a point-and-click type
13 of software, and we also need to have a batch job, you
14 know, and so that it can all be reproducible. You
15 know, something like our markdown kind of thing, you
16 know, a steroid version of that, and you can actually
17 run it, and then you can get exactly the same output
18 of the report, right, and that would be wonderful. So
19 look forward to have -- to see more people develop in
20 this area.

21 DR. SCOTT: Thanks, Jack.

1 Roger.

2 DR. LEWIS: So I was rereading this
3 question, which was blissfully short, but I think it
4 covers -- I think there's a couple of different sort
5 of pre-questions and post-questions. So I guess the
6 first pre-question is, is not how do we determine
7 operating characteristics, whether it's through
8 simulation or through analytic methods, but what
9 should our trial designs look like?

10 So if we can design a trial that's fit
11 for purpose, for which we have good analytical
12 understanding of its operating characteristics, why
13 not do that. And so the decision to use simulation
14 should be based on the need to do that because you
15 want the trial design that requires simulation to
16 understand operating characteristics has other
17 objective advantages that are necessary for the
18 development program that you're participating in.

19 And I think some of us work in areas
20 where there are often analytical solutions. The group
21 I work with, I don't know if we would recognize an

1 analytical solution if we ran into it because we're
2 just not familiar with them for the problems that we
3 try to solve.

4 The second point is both analytic
5 analysis of type 1 error control and the use of
6 simulation to understand operating characteristics are
7 just two different tools. And like almost all tools,
8 each of them can be done well, and they can be done
9 badly. And we've certainly all seen, I'm sure in the
10 review work we've done, absolutely standard
11 frequentist approaches done badly and wrong.

12 And so the question is, do you
13 recognize when it's done well and when it's done
14 poorly? And I'm sure that many people have more
15 experience making those distinctions for
16 analytic-based approaches than for simulation based.

17 The point that was made earlier that if
18 someone presents a clinic trial simulation to justify
19 the operating characteristics they're claiming for
20 their design, they better tell you enough about those
21 simulations so that you understand what they are

1 doing.

2 The second piece is that they must
3 provide simulations over a broad enough range of
4 hypothetical situations so that you believe that the
5 hypothetical situations that are plausible as actual
6 things that might happen in nature are covered.

7 So we once had -- we once received
8 feedback on a simulation analysis of type 1 error in
9 which an unnamed regulatory agency very geographically
10 close to where I'm sitting suggested that we evaluate
11 the operating characteristics in a parameter, but if
12 you looked at the parameter, they were suggesting we
13 consider the situation in which all the patients
14 became immortal.

15 And it's just -- it turns out that's
16 not a pressing problem in some areas of oncology. And
17 so that area of the space wasn't an area of space for
18 which one had to explore the operating characteristics
19 because it just wasn't going to happen, or if it did,
20 it would be a good problem to have.

21 So the point I'm making here in a

1 long-winded way, and I apologize, is that your
2 clinical trial simulations need to cover the plausible
3 parameter space, but we really don't need to worry too
4 much about the implausible parameter space.

5 If we find ourselves at the end of the
6 trial finding out that we were in an area of space
7 that we didn't adequately explore, there is a role for
8 posttrial simulations to fill in the gaps. We should
9 try very hard not to be in that position when at all
10 possible, but I do find it difficult to justify an
11 argument that we need to protect ourselves from errors
12 that only occur in situations that can't plausibly
13 occur in nature.

14 DR. SCOTT: Thanks, Roger.

15 One, second, Herb. Let me follow up on
16 Roger's, and then you, and then I -- we're going to
17 have to bring the session to a close.

18 So on the first point you brought up,
19 Roger, I think it was very well framed. You know, you
20 turn to simulations when you've chosen a design for
21 which simulations are appropriate. And I guess -- and

1 clearly there's no simple answer to this question, but
2 the question is, when is the benefit of the complex
3 design versus whatever difficulty and lack of
4 confidence there is in the simulations due to, e.g.,
5 multiple endpoints, or very, you know, geometrically
6 complicated parameter spaces.

7 How do we evaluate that tradeoff versus
8 telling people just to go to a simpler alternative,
9 which is question eight, which we don't have time for,
10 but I would invite people to think about and take home
11 with them.

12 Herb, what was your comment?

13 DR. PANG: Yeah, just very -- two quick
14 comments, is you really need to have sufficient time
15 to think about the scenarios. If you don't have time,
16 I think it's risky, right, to run into trial. And
17 then another point is -- actually, it's very related
18 to this is, I think the opportunity at the CID really
19 gave us a good understanding of the sponsor and also
20 the regulator side, how to do these things
21 appropriately. So I think that's actually a very good

1 platform to do so, so I hope this kind of endeavor
2 will continue, yeah.

3 DR. SCOTT: Thanks, Herb, appreciate
4 it. And then the final question was, do you have any
5 suggestions for ways FDA can support the appropriate
6 use of complex designs in addition to the CID Paired
7 Meeting Program.

8 We are about to break for the afternoon
9 and then come back for Q&A. But I would like to note
10 that there's a public docket open for this meeting and
11 if panelists or anybody else has an answer to this
12 question, we'd love to hear it. The docket is open
13 until April 4th, if I remember correctly. So anyway,
14 we'll break now and return at 2:45 for public Q&A.
15 And thanks again to the panel. That was really
16 helpful.

17 DR. SCOTT: Hi, everyone. We're going
18 to be transitioning into the Q&A session. Okay. So
19 to close the meeting, we have an opportunity for
20 public comment or Q&A. We'll start with folks in the
21 room if anybody has comments or questions, but we're

1 also taking questions on Zoom. If possible, if you're
2 comfortable, it would be helpful give your name and
3 your affiliation when you give your comment in the
4 interest of transparency, but it's not a requirement.
5 I know we have one in the room.

6 MS. BUTTS: Thank you so much. My name
7 is Cherie Butts. I'm at Biogen. And I've really
8 appreciated all of this discussion. It made it worth
9 coming down here, although I used to work at FDA, so
10 that was really good. My conundrum is that for all of
11 the questions that were raised in the presentations, I
12 added "and rare diseases" because that's what I focus
13 on.

14 So I would love to get all of the
15 panelists' comments on two things: number one, we are
16 encouraged to borrow, but you know that there's a lot
17 of heterogeneity in rare diseases. So perhaps comment
18 given the populations that are small numbers, what do
19 we borrow? Like, what's reasonable? And then number
20 two also relates to heterogeneity, usually -- oh,
21 good. It didn't record my name.

1 Also related to heterogeneity, when it
2 comes to rare diseases, there are very, very few
3 established efficacy endpoints. And so as it relates
4 to, I think it was question number seven about
5 confidence, so we will evaluate a series in our first
6 study and then we hope that that will increase our
7 confidence in the second, but we might have all of the
8 wrong participants.

9 So I also wanted to get your comments,
10 all of the panelists comments on this idea of how do
11 we have confidence when we expect our population in
12 our trial will probably be heterogeneous. So
13 borrowing and confidence: Those are the two things I
14 wanted each of you to comment on.

15 DR. SCOTT: Thanks so much for the
16 question.

17 Would anyone like to start?

18 DR. PRICE: Oh, sorry, go ahead.

19 DR. SCOTT: We'll take Karen, then
20 Dean.

21 DR. PRICE: Okay. One thing just to

1 mention is there was a workshop on the use of Bayesian
2 methods in rare diseases a few years ago. It's Duke-
3 Margolis. Just FYI, it's online and might be worth
4 checking out there because I think some of these
5 things were discussed very much focusing in the rare
6 disease setting, so just some thoughts there.

7 And some of the things that I recall
8 are the importance of the caregiver insights and as
9 well as patient advocates in the context of rare
10 diseases because these are individuals who
11 really -- they're dedicated to these rare diseases.

12 They understand what's going on. They
13 understand the impact to patients whether their own
14 self or to the people that they're taking care of,
15 their family members. And so that is, I think, part
16 of what help in this scientific conversation around
17 what can we borrow, what is useful and how do we do
18 that?

19 We also talked about -- and we've
20 talked in this session, but I think is relevant in
21 rare diseases on the role of structured prior

1 elicitation to help understand what do these
2 relationships -- how do people think about if you knew
3 certain information, how does that inform you about
4 other future trials or other information.

5 So there's a lot of lessons, I think,
6 in the prior elicitation literature as well that you
7 might explore to help -- you understand what is the
8 level of confidence and then how to best borrow, so
9 just a few thoughts there.

10 DR. SCOTT: Thanks, Karen.

11 Dean.

12 DR. FOLLMANN: Yeah. So I think for
13 rare diseases, like, everyone's different. They're
14 heterogeneous and so on and I think really the focus
15 would be on specific designs like randomized
16 withdrawal or trying to characterize, you know, where
17 you have both groups on drug, and then you randomly
18 pick a time to withdraw the drug from one of the
19 groups.

20 And you can also do a randomized trial
21 where after the placebo versus treatment period is

1 over, everyone on the placebo arm gets the drug, which
2 gives you some additional information. So I think I
3 would try and bind, you know, specific designs that
4 are relevant to that disease that can try and answer
5 the question.

6 In terms of borrowing, you know, that
7 sounds very generic and so on, and I think I would try
8 and get, like, history -- so basically, enroll people
9 in a protocol so you see and under the auspices of
10 that protocol, you're measuring endpoints and
11 categorizing things, and then either introduce the
12 drug or take it away.

13 So you're borrowing, like, the
14 historical data from that, perhaps, but you're not,
15 like, borrowing a different dataset from different
16 people in a different part of the world. So people
17 are sort of acting as their own control in a way.

18 And then confidence, gosh, that's kind
19 of general too, but I think for rare diseases, you
20 know, the calculus is a little different and maybe
21 their people are more willing to, you know, have less

1 confidence in their result, perhaps, changing -- you
2 know, accepting a higher false positive rate.

3 DR. SCOTT: Thanks.

4 Herb.

5 DR. PANG: Yeah. So I can speak to the
6 hybrid control designs because we work in that area
7 and then we also have a grant. I actually studied
8 this in particular in addition to, as we mentioned,
9 oncology setting. We do have some scenarios where we
10 planned how to do the methodology for designing these
11 hybrid controls in a rare disease setting.

12 So we have some examples from the
13 spinal muscular atrophy setting, and then fortunately,
14 similar to the case for the oncology setting in which
15 we actually have a trial that we can borrow from that
16 we are simulating. So the data quality's a lot better
17 than, like, other sources of real-world data, but in
18 that scenario, we are developing some new methodology
19 that can help doing the hybrid control setting.

20 So as we mentioned, augmenting the
21 internal controls with external control arm, and we

1 are studying, like, also the properties. So we
2 actually have that, and the work has already been
3 resubmitted to the journal, so it should be released
4 sometime later this year.

5 In addition to looking at it from the
6 primary endpoint perspective, there's an interesting
7 thing about the rare disease scenario in which
8 sometimes you don't want to keep the subject for too
9 long after the primary endpoint readout, right. We
10 want to enroll them to trial the new drug.

11 So in the SMA case that had from
12 already-approved drug, we actually can learn from it
13 and utilize it and utilize some approaches to actually
14 infer subjects who actually didn't get the treatment
15 or didn't continue it as a control, but how to
16 estimate the treatment effect, even though in the open
17 label extension phase, you can still estimate.

18 So that's really important for the rare
19 disease setting, and we do have some methods probably
20 would be published in the next few months, yeah,
21 related to that. So it also fits in the high unmet

1 need, right, which is a good thing. That's a
2 consideration for these kind of designs. So yeah, so
3 we are covering that. I think not just us, but many
4 others also working in this area, so thank you.

5 DR. SCOTT: Frank Bretz.

6 DR. BRETZ: Yeah. Another example of
7 borrowing information is in pediatric drug
8 development, where you would like to borrow
9 information from adult trials. And some of my
10 colleagues actually went to the CID program. It's a
11 trial in multiple sclerosis, so if you're interested,
12 I'm happy to share information on papers that they
13 have published on that.

14 DR. SCOTT: Thanks, Frank.

15 Anyone else?

16 Okay. Thanks again for your question.

17 Dr. Irony.

18 MS. IRONY: Hi. I have a question.

19 I'm Telba Irony from J&J Innovative Medicine, and I
20 wanted for the panel to comment on one point that I
21 think could help answer many of the nine questions

1 that you presented. For instance, the amount of
2 information or the amount of sample you borrow from
3 previous or for external sources and that's first, the
4 rarity of the disease; that wasn't mentioned.

5 So that could be a factor in how much
6 you should borrow. And also on the unmet need of the
7 treatment. For instance, if you're talking about the
8 survival and you have to wait for long time to recruit
9 a lot of patients to get enough evidence and patients
10 are dying, even if it's not a rare disease, but it's
11 an unmet need, doesn't that justify borrowing more
12 information, more external information?

13 So I didn't see that to be commented
14 among the speakers, and I wanted you to talk about
15 that. Isn't it important to have the same amount of
16 internal or clinical trial evidence when you look at
17 the benefit risk of waiting until you get enough
18 evidence?

19 DR. SCOTT: Would anyone like a crack
20 at it?

21 Karen.

1 DR. PRICE: Sure. And I touched on
2 this briefly with the example I gave earlier where we
3 did have a trial where the endpoint was death, and our
4 intent was to -- or what we wanted to be able to do is
5 borrow some of the events from phase 2 and combine
6 into an estimate of the treatment effect, placebo
7 versus the drug.

8 And then we wanted to move on to answer
9 other questions, including looking at our drug
10 relative to active comparators. And so that was an
11 instance where I think the unmet need was high. The
12 endpoint was quite objective. So, you know, maybe
13 there could be some discussion along with what you're
14 talking about around the role of borrowing information
15 when something subjective versus objective.

16 Obviously, the pain master protocol is
17 a highly subjective endpoint, but we've put that into
18 a master protocol to enable that more. But maybe for
19 regulatory approval setting, something more objective
20 I could see would be more likely to be accepted, to
21 have the borrowing.

1 However, again, we were unsuccessful in
2 getting to that point and it did -- it is important to
3 think about that impacted the patients. There were
4 more patients on placebo, and we missed out on
5 answering some, I think, really useful questions, and
6 so that is part of the calculus of thinking through
7 the benefits and risks here, and it can't only be
8 about some level of type 1 error, for example.

9 DR. SCOTT: Jack.

10 DR. LEE: Yeah. We talked a lot about
11 dynamic borrowing today and generally speaking, you
12 know, this related to raw data conflict, right. So
13 the less conflict, the more borrowing, and the more
14 conflict, the less borrowing, right. And what Telba
15 just mentioned is another dimension of the things,
16 right, like, you know, what type of disease, how
17 severe, the severity, you know.

18 We can even include in some other
19 dimension like the toxic -- no, efficacy-toxicity
20 tradeoff, the cost, and whatnot, right. So I haven't
21 seen this being done, but, again, we mentioned earlier

1 that we can construct some utility function and then
2 when you try to turn the knob, right, and you can try
3 to maximize that relevant utility function. I think
4 it can be done.

5 DR. SCOTT: Herb.

6 DR. PANG: Yeah, just to add to the
7 point about the overall survival endpoint. I think
8 Telba's point is actually very important, which is
9 something that happened kind of after the CID. I
10 think more recently, the FDA also see that overall
11 survival is very important endpoint, like, to
12 emphasize and also to study.

13 So having the borrowing and allowing
14 you to look at it earlier with better power I think
15 from the responses perspective and maybe with some
16 good control of type 1 error is advantageous, right,
17 which is something that I actually didn't bring up.

18 But definitely a good point that more
19 recently FDA emphasize on the important of OS and
20 there's some discussion in other forums about that
21 topic as well, yeah, so thank you.

1 DR. SCOTT: Rebecca.

2 DR. HUBBARD: I've been thinking about
3 the tension between continuous measures and needing to
4 make a dichotomous decision, for instance, whether or
5 not to move forward with a particular trial design.

6 And it seems to me that we can accrue a
7 lot of information on a continuous scale, things like
8 effective sample size, measures of robustness of the
9 parameter estimate to different prior choices, et
10 cetera, but at the end of the day, there's a
11 dichotomous decision that has to be made about those.

12 And I think the point that you're
13 making gives us the additional contextual information
14 to decide where to set that threshold, which I think
15 goes to Jack's point about utility functions. So I
16 think that's how we sort of harmonize those two sort
17 of seemingly incompatible things.

18 We have this continuous information
19 about what we have learned or what the value of
20 borrowing, or what was the amount of information that
21 was borrowed. And now, we need to make a decision,

1 you know, are we confident enough? Is it good enough?

2 Is it robust enough?

3 And I think the only way that we can
4 make that decision formally quantitatively is by
5 bringing that information about the strength of the
6 endpoint, unmet need, et cetera, incorporating that
7 into a utility function and then deciding.

8 DR. SCOTT: Thanks.

9 Steve.

10 DR. RUBERG: Yeah. As much as we've
11 been talking about Bayesian approaches and borrowing,
12 and I'm generally very favorable for that. Telba, it
13 kind of relates to your question around, particularly
14 survival outcomes, and I'll focus on oncology, for
15 example.

16 Being careful about what you're
17 borrowing because if you're looking at overall
18 survival, usually people don't get to that terminal
19 endpoint of death without having disease progression.
20 And typically, when you have disease progression, you
21 switch to another line of therapy or additional

1 therapies.

2 All right. Now, that overall survival
3 outcome depends not only on what you initially
4 randomize to, but what second-line therapy. And then
5 when you look at the overall survival estimate, the
6 proportion of patients that went on second-line
7 therapy or third-line therapy.

8 And I know that cancer treatment is not
9 uniformly done across the United States, let alone
10 across the world. So Harvard Medical School may use
11 this second-line therapy. Somebody else may use some
12 other second-line therapies, et cetera.

13 So then you got to start asking
14 yourself, well, what am I borrowing here? What's
15 going to happen to my trial? What proportion are
16 going to get to progression-free survival? What
17 second-line or third-line therapies might there be,
18 you know, and all that kind of -- so I don't know.

19 At least in the context of oncology,
20 that overall survival outcome is usually a mixture of
21 many treatments along the way. Best supportive care,

1 following progression, et cetera, et cetera. And just
2 difficult to think about, can I borrow that? Should I
3 borrow that? If I'm borrowing it, what am I actually
4 borrowing?

5 Do I think that the scenarios that
6 played out in those trials are similar to the
7 scenarios that might play out in my trial, especially
8 with the rapidly changing environment in oncology,
9 where a study that was done three years ago,
10 first-line, second-line therapies are changing
11 considerably from one year to the next.

12 So anyway, while I like the idea of
13 borrowing in general and Bayesian approaches, in the
14 oncology world for overall survival, I don't know, it
15 makes me a bit nervous as to what I'm borrowing. For
16 progression-free survival, okay, now I can look at,
17 here's the initial randomized treatment, et cetera.
18 Overall survival is a much more complex thing, I
19 think, so --

20 DR. SCOTT: Thanks.

21 Anyone else?

1 Okay. I think we have another
2 question.

3 MS. MO: Hi, May Mo from Amgen. I do
4 have a question. Not loud enough?

5 DR. SCOTT: That's good.

6 MS. Mo: So, Steve, I hear you talk
7 about evidence is continuous, decisions dichotomous
8 that give a lot of people like high blood pressure,
9 right, because we're afraid of making mistake.

10 So the question is, I know in
11 diagnostic, sensitivity specificity basically is false
12 positive, false negative. Depend on different
13 prevalence rate, right, your decision rule actually
14 adjusts to that.

15 So the bottom line is there's no fixed
16 number. It's the context and the risk. What really
17 is the risk of a false decision? And how we can, in
18 our work, view that so basically, we are not talking
19 about a fixed p-value or a fixed posterior
20 probability, let's say, but really thinking in that
21 scenario when we make a mistaken, what that means, and

1 how big is the risk.

2 I think that's a area potentially we
3 can all work together and think together. Like, what
4 is the prevalence in our innovative trial setting,
5 like, something we leverage?

6 DR. RUBERG: Yeah. You make a good
7 point. If you think about clinical trials or drug
8 development as a diagnostic process, you're trying to
9 answer the question, does this treatment work or not?
10 And I think there's a direct and almost near-perfect
11 analogy.

12 The prevalence is kind of like I
13 mentioned that article from Hay et al. or whatever
14 updates from that, that kind of gives you the
15 background prevalence of drugs that are successful in
16 phase 2 and what actually goes on. And in the past,
17 and even in the present time, I look at this sometimes
18 and say, okay, if that's the prevalence and you design
19 a trial with alpha 0.05 and power of 0.08 or 0.09, I
20 draw my little two-by-two table.

21 And I say, well, the positive

1 predictive value, if the study's positive, the
2 positive predictive value might only be, you know, 55
3 percent, right, or 60 percent, and the negative
4 predictive value kind of perspective as well. I mean,
5 PPV and NPV from a diagnostic test are Bayes formula.
6 I mean, they are one and the same. They're identical.

7 So yeah, I think I've tried to as I've
8 learned more Bayesian statistics and drug development
9 over the last 15 years from people like Karen and
10 others at Lilly, I take that diagnostic view quite
11 often and say, you know, what's the positive and
12 negative predictive value for this phase 2 result, or
13 this thing that I'm looking at is probably a more
14 accurate representation.

15 And then, again, you can start adding
16 values to false positive and false negative decisions,
17 true positive, true negative decisions, et cetera, et
18 cetera. So anyway, with a little bit of
19 self-aggrandizing, some colleagues from Pfizer and I
20 published a paper in Harvard Data Science Review last
21 September with relationship to machine learning and

1 artificial intelligence algorithms to do clinical
2 predictive kind of diagnostics, et cetera.

3 And we talk about these kind of things
4 and values, so there might be some analogies to the
5 clinical trial work that I think are very useful.

6 DR. SCOTT: Anyone else?

7 I would just add I agree that missing
8 from the picture of the threshold for approval is the
9 chance of -- real chance of an error and also the cost
10 of an error, which is not always the same. A type 1
11 error for a drug that's unsafe or a drug that is going
12 to be a barrier to more effective drugs coming down
13 the pike is worse than a type 1 error in other
14 situations.

15 Does anybody else in the room have any
16 questions? Okay. In that case, we have several
17 questions from Zoom. Going back, I think this first
18 one was for Karen. "Did your placebo arm run
19 throughout the study? To the non-statistician
20 audience, would you mind explaining how you account
21 for any changes in placebo response over time?"

1 DR. PRICE: Okay. So the way that it
2 worked is that patients -- so we hadn't given
3 intervention that came in. Patients identified what
4 was the biggest complaint as it pertains to pain, and
5 that indicated which of the disease state addenda they
6 would end up in.

7 So some patients had multiple types.
8 It's the one that was the biggest complaint. And then
9 patients were randomized to placebo or that
10 intervention. And if there multiple going on, then
11 they would be randomized to the intervention and then
12 to drug or placebo.

13 So the placebo was concurrent with the
14 LY, the Lilly drug during the duration of that while
15 the Lilly drug was being studied. So I think the
16 question is, was there a continued placebo arm, and
17 the answer would be no. It's according to the -- when
18 the intervention is in.

19 What was the second half, then? Sorry.

20 DR. SCOTT: No, no problem.

21 DR. RUBERG: Changing placebos --

1 DR. PRICE: Changing --

2 DR. SCOTT: Yeah.

3 DR. RUBERG: -- time. So if you're
4 pulling any from --

5 DR. SCOTT: Are there any -- do you
6 have any way of dealing with trends in placebo
7 response?

8 DR. PRICE: Sure. So a lot of that
9 would come through the modeling. I don't think that
10 we've seen it, but I think if there was an instance
11 where the placebo, the true placebo response,
12 underlying placebo response was believed to be
13 different, then we probably would not borrow the
14 earlier data.

15 So then that case, things we talked
16 about around exchangeability, those are clearly
17 violated. I guess the other place would be, as I
18 mentioned, with route of administration in cases where
19 it is known that the route of administration can truly
20 influence the placebo response. Again, then we would
21 borrow more from those that had a similar route of

1 administration.

2 DR. SCOTT: Okay, thank you.

3 And we also had a question for Herb.

4 "The propensity score adjustment seems very
5 conservative to me. The 'external controls' are from
6 a contemporaneous internal study. Was it more about
7 handling the covariates?"

8 DR. PANG: Yeah. So thank you for the
9 question. And so after the first CID meeting -- the
10 initial CID meeting, we actually didn't propose
11 propensity score adjustments. And then after the
12 first meeting, FDA actually asked us to consider
13 propensity score-based adjustments, and then we
14 actually, in fact, were thinking of either doing
15 weighting or covariate adjustments.

16 So but in the end, it was decided that
17 it's better to go with the propensity score matching.
18 For the propensity score matching, it's essentially to
19 try to just filter as a way to filter out the external
20 controls that are quite different from the randomized
21 subjects.

1 So it's just an additional step to make
2 the subjects more comfortable, so -- and there were
3 not that many subjects that were removed after that
4 step, so they are quite comparable. But from a
5 simulation, yeah, so think to essentially just make
6 things more similar to the randomized subjects, so
7 that's the goal, yeah, thanks.

8 DR. SCOTT: Thank you. A couple of the
9 questions that came in are sort of -- I guess they're
10 for me. One of them was -- sorry, I lost it. There
11 was a question about whether all Bayesian or adaptive
12 proposed studies should be discussed with the agency
13 through the CID program, or can they be handled under
14 IND Type C or Type D meetings. The answer is no.

15 You do not have to submit Bayesian
16 adaptive or CIDs through the CID Paired Meeting
17 Program. The program is useful for sponsors who can
18 benefit from the extra interaction within the
19 timelines afforded by the program, but all proposals,
20 no matter how complex, will be considered under IND
21 like any other protocol.

1 Let's see. I think there were multiple
2 questions about whether we're posting speaker slides
3 after the workshop. We will be asking the speakers'
4 permission to do that formally. I'm optimistic that
5 they will say "yes," but no pressure. All materials
6 will eventually be posted to the event website and
7 will also be linked from the CID program website.
8 Let's see.

9 Yes. Oh, hi, please.

10 MR. COLLIGNON: Hi, thank you.

11 Olivier Collignon from GSK. So I just wanted to come
12 back to the question you raised, Scott, before the
13 break, is that how the FDA can help, in particular,
14 the industry, you know, use CIDs. And we saw through
15 all the examples today the amount of resources that it
16 takes for us to show the operating characteristics
17 that we present to FDA or EMA or PMDA for that matter.

18 How do we build up the prior? And
19 really my question is, could we think about the
20 process that is a little bit more iterative, you know,
21 rather than engaging straightaway into a full-on, you

1 know, fully fledged package. All the examples we saw
2 today, that was really a context where the
3 experimental context was way more challenging, you
4 know, how the -- we are faced to with -- Frank talked
5 about pediatrics.

6 I mean, another example we didn't cite
7 was the Pfizer COVID vaccine, where you had an
8 informative prior for the primary analysis is clearly
9 a space where regulators are more willing to take more
10 risk, right. So where I'm going with this is that I'm
11 nervous engaging resources in a setting I know I have
12 a 99 percent chance to have a no because there's no
13 space for more risk taking.

14 You know, there are case in immunology
15 where, you know, there's loads of drugs on the market
16 already standard CDPR3 phase 3 trials. So could we
17 think about the process that is a little more
18 iterative. You know, first up, are we willing as
19 regulators to take a little bit more risk than usual,
20 certain stuff.

21 Let's have a discussion around the

1 prior, yeah. We think that the prior makes sense, but
2 you forgot a few sources of external information that
3 we know about. Let's factor that in. Your prior is
4 too informative. Let's increase the variance a little
5 bit and maybe we can start playing together, and then
6 let's look at your operating characteristics.

7 So that's really my question. I'm a
8 little bit directed to you, Scott, but I would like
9 also to hear the point of view of the speakers. And I
10 thank you very much.

11 DR. SCOTT: Thanks. I won't answer it
12 in detail, but it's an excellent question. I think
13 one of the goals of the CID meeting program was to be
14 able to bypass a little of that iteration by having
15 people bring their, you know, their fit-for-purpose
16 complex designs to us in a way that we could then
17 share publicly and could be used, if not as a
18 template, at least as inspiration for what's possible
19 with other proposals.

20 Frank.

21 DR. BRETZ: Yeah, no. Thanks for the

1 good question. I think what we saw today is that
2 there's no one-size-fits-all. I think any CID will be
3 highly specific to the setting, to the clinical
4 setting, and better fit for purpose and better it
5 addresses the question that it's supposed to address
6 in a specific setting.

7 Now, I think the question is really,
8 you know, how do we ensure beyond the paired meeting
9 program, how to ensure a more sustainable use of CID.

10 And yeah, I think it's -- we need to get an
11 understanding as a community what are the objective
12 advantages of running a CID versus a non-CID as a
13 benchmark, so to speak.

14 Obviously, we need to understand still
15 from these various case studies, some of them we have
16 heard today, you know, what was specific that made
17 these designs to be fit for purpose. I think it would
18 also be good to get some consistency in
19 decision-making all the time across therapeutic areas
20 and sponsors.

21 So -- because you mentioned, why

1 does -- as a sponsor, you would like to have some
2 critic ability if I do engage in a certain complex
3 design, I invest a lot of resources, what are the
4 criteria for success so to speak on the other
5 stakeholders' side. So I think getting somehow a
6 consistency in place. I think that would be helpful
7 to have.

8 And finally, I guess, there's also
9 something like precedent setting, right. So if you
10 have the one CID is now applied and successfully
11 applied in a certain setting, what does it mean for
12 similar settings? Can we build upon the successes in
13 similar settings later on?

14 So I think this will be to me questions
15 to help having a more sustainable use or sustained use
16 of CIDs in a more regular way, rather than always
17 seeing this as a one-off solution, and we can never do
18 it again, so --

19 DR. SCOTT: Thanks, Frank.

20 Karen.

21 DR. PRICE: Thanks so much for the

1 question. And I think mostly, I will echo what you've
2 said. And I had mentioned a little bit in the
3 presentation earlier, but I do think the identifying
4 pathways that are more interactive, maybe a little bit
5 more informal where could we submit a set of slides
6 that summarize the really key questions and rather
7 than a full briefing document with a protocol and an
8 SAP or what -- I mean, I don't remember all of the
9 things that were included, but that type of
10 arrangement.

11 So again, CID Paired Meeting Program
12 was very helpful. What allowed us to do it, though,
13 was that we were not going to delay the start of
14 something internally, and that was because the
15 molecules that were coming in were doing other -- they
16 were in tox studies and things like that.

17 So we were able to go through the
18 meeting program. But in normal, fast-paced
19 development, we probably couldn't always go through
20 it. And so, like I said, we would love to understand
21 maybe alternative way -- I'm not pointing only at you,

1 Dr. Scott, but I think that would be usually
2 beneficial to have some more informal iterative
3 approach for that, those types of conversations.

4 I also think, then, a lot that we've
5 talked about with the open source, our Shiny apps,
6 speeding iterative simulations is important that we
7 don't have back and forth of paper. So the cloud
8 computing, improving the infrastructure, those sorts
9 of things all vitally important.

10 The final thing I might just throw out
11 since we're talking about this is, and Steve and I
12 were talking about this a little bit is, do we need
13 the word "complex" in this whole conversation? And
14 could we just remove it? Direct development is
15 complex, period.

16 And so, you know, we're talking about
17 maybe opportunities for additional conversation really
18 to understand the scientific elements, operating
19 characteristics. We know how to do these things.
20 They're not necessarily "complex," per se. May
21 require additional conversations, may require some

1 additional learning, but I think it dissuades people
2 because, generally speaking, sponsors do not want to
3 do things that are more complicated than it already
4 is. And so just something to think about.

5 DR. SCOTT: Fair point. I think
6 there's sort of a legislative history behind the word,
7 Karen.

8 DR. PRICE: Fair enough, okay.

9 DR. SCOTT: Which makes it a little
10 difficult for us to strike, but I hear you.

11 DR. RUBERG: Don't drag Congress into
12 this, please.

13 DR. SCOTT: They dragged me into this,
14 Steve.

15 Frank, yes.

16 DR. BRETZ: Yeah. I hear you, Karen.
17 I just want to make the little comment that in Europe,
18 we don't use the term "complex innovative design."
19 Unfortunately, we kept the term "complex," but we have
20 struck out the term "innovative," so we call it
21 "complex clinical trial," CCT. That's the European

1 version.

2 DR. SCOTT: Yes, Olivier.

3 MR. COLLIGNON: So just one last
4 addition about templates, et cetera. One type of
5 information that would be helpful is some form of
6 statement around what are the camera tricks that we
7 always have to present in order to have an informed
8 discussion.

9 I mean, clearly, we are all going to
10 come up with power and type 1 error. I mean, the
11 example we saw from Roger around average type 1 error.
12 There are several people working on that in Europe at
13 the moment. I think that's a very important metric to
14 be presented when we are engaged in this type of
15 design.

16 So some form of positioning
17 from -- yeah, this is something we working on looking
18 at or we'll never look at that, I think that would be
19 extremely helpful. Thanks again.

20 DR. SCOTT: Good comment. I would put
21 in a plug for these two guidances. They might be

1 helpful in terms of here's things that need to be
2 submitted. And this brings -- actually, segues nicely
3 into closing remarks.

4 Okay. So we're in the last few minutes
5 of the workshop. And just to summarize what we heard
6 today, we heard three case studies of innovative
7 designs that may or may not have been complex. The
8 CHIPS study of cold-store platelets, Eli Lilly's
9 chronic pain master protocol, and the Genentech hybrid
10 control in diffuse large B-cell lymphoma.

11 And we followed that with, I would say,
12 a quite robust panel discussion, covering multiple
13 topics, including the use of external data sources,
14 Bayesian methodologies and trial simulations. I
15 wanted to thank again all of our panelists.

16 We really value the input we've heard
17 today. Once there's a transcript, I will personally
18 be reading it and taking notes, and taking that home
19 as we move on our next steps of policy development.
20 And I'd also like to thank the public participants,
21 both people who asked questions and also people who

1 spent their time with us today.

2 In terms of next steps, moving on from
3 this workshop, I mentioned earlier there's a docket
4 open for public comments. It's open until April 4,
5 2024. The link to that is available as part of the
6 Federal Register notice for this meeting, and you can
7 get there from the event website through a series of
8 clicks.

9 And what we're going to do is take the
10 feedback we've received today, the comments to the
11 docket, review them, digest them. And among other
12 things, what was discussed today will really help us
13 in terms of our movement toward publishing a draft
14 guidance on the use of Bayesian methodology in
15 clinical trials for drugs and biologics, which was a
16 PDUFA VII commitment.

17 That guidance is supposed to be published
18 in draft form by the end of September next year.
19 Eventually, a transcript and link to the video of
20 today's workshop will be posted on the event website
21 and we'll also put a link on FDA's CID website when

1 available. My understanding is the video itself will
2 be hosted on YouTube. I don't know the time delay,
3 but I don't think it will be long. I think it'll be
4 posted not too far from now.

5 And I think that was it. For more
6 information, this is our CID website. You can also
7 find it just by googling FDA CID, which is what I do
8 every time I need to find it. But thanks again,
9 everybody. Thanks to the panelists, and I hope
10 everyone has a safe trip home.

11 (Whereupon, the meeting concluded at
12 3:26 p.m.)

13
14
15
16
17
18
19 CERTIFICATE

20 I, RICHARD LIVENGOOD, the officer before
21 whom the foregoing proceedings were taken, do hereby

1 certify that any witness(es) in the foregoing
2 proceedings, prior to testifying, were duly sworn;
3 that the proceedings were recorded by me and
4 thereafter reduced to typewriting by a qualified
5 transcriptionist; that said digital audio recording of
6 said proceedings are a true and accurate record to the
7 best of my knowledge, skills, and ability; that I am
8 neither counsel for, related to, nor employed by any
9 of the parties to the action in which this was taken;
10 and, further, that I am not a relative or employee of
11 any counsel or attorney employed by the parties
12 hereto, nor financially or otherwise interested in the
13 outcome of this action.

14 RICHARD LIVENGOOD

15 Notary Public in and for the

16 State of Maryland

17
18
19 CERTIFICATE OF TRANSCRIBER

20 I, JUSTIN BISHOP, do hereby certify that
21 this transcript was prepared from the digital audio

1 recording of the foregoing proceeding, that said
2 transcript is a true and accurate record of the
3 proceedings to the best of my knowledge, skills, and
4 ability; that I am neither counsel for, related to,
5 nor employed by any of the parties to the action in
6 which this was taken; and, further, that I am not a
7 relative or employee of any counsel or attorney
8 employed by the parties hereto, nor financially or
9 otherwise interested in the outcome of this action.

10
11
12 JUSTIN BISHOP
13
14
15
16
17
18