

UNITED STATES FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

CBER SCIENCE SYMPOSIUM

DAY 1

Washington, D.C.

Monday, September 16, 2024

[This transcript has not been edited or corrected,
but appears as received from the commercial
transcribing service. Accordingly the Food and
Drug Administration makes no representation as to
its accuracy.]

1 PARTICIPANTS:

2 DR. KAREN ELKINS, PhD
Associate Director for Science, CBER

3
4 DR. PETER MARKS, PhD
Director, CBER

5 DR. DONALD KOHN, MD
Distinguished Professor, Pediatrics,
6 Hematology/Oncology, Distinguished
Professor, Molecular and Medical
7 Pharmacology
University of California, Los Angeles

8
9 DR. RONIT MAZOR, PhD
Principal Investigator; Session Chair
Office of Gene Therapy, CBER

10
11 DR. ZUBEN SAUNA, PhD
Principal Investigator/Director Division
of Hemostasis Office of Plasma Protein
12 Therapeutics, CBER

13 THEODORE RANDOLPH, PhD
Gillespie Professor/Co-Director
14 Center for Pharmaceutical Biotechnology
University of Colorado Boulder

15
16 KAITLYN SADTLER, PhD
Earl Stadtman Tenure-Track Investigator
Chief of the Section Immunoengineering,
17 NIH

18 JOSEPH JACKSON, PhD
Staff Fellow
19 Office of Blood Research & Review, CBER

20 KYUNG SUNG, PhD
Principal Investigator/Chief Cellular
21 and Tissue Therapy Branch, Office of
Cellular Therapy and Human Tissues, CBER
22

1 PARTICIPANTS (CONT'D):

2 ALEX ZHOVMER, PhD
3 Principal Investigator; Session Chair
4 Office of Vaccines Research & Review,
5 CBER

6 DR. JENNIFER DOUDNA, PhD
7 Keynote Speaker
8 Nobel Laureate in Chemistry; Professor
9 Li Ka Shing Chancellor's Chair in
10 Biomedical and Health Sciences
11 University of California, Berkeley

12 DR. CARLOS VILLA, MD, PhD
13 Session Chair

14 DR. AMIR KOSHI, PhD
15 Session Chair

16

17

* * * * *

18

19

20

21

22

23

24

25

26

27

1 P R O C E E D I N G S

2 (9:30 a.m.)

3 DR. ELKINS: Welcome to the 2024 CBER
4 Science Symposium where we are finally back to an
5 in-person format, but also hybrid. Thank you all
6 for being here. Our first speaker really needs no
7 introduction, particularly the CBER folks.

8 But just briefly, Dr. Peter Marks,
9 received his degrees, both MD and PhD from NYU,
10 did further training at Brigham and Women's, and
11 then assumed a series of more progressive and
12 responsible positions both in academia and in
13 industry, but came to CBER as the Deputy Director
14 in 2012.

15 And is now, of course as we all know,
16 the Director of the Center for Biologics. Peter
17 is going to talk to us this morning about some of
18 the history of CBER. So, welcome and thank you
19 for starting us off.

20 DR. MARKS: Thanks so much. So, first
21 of all, let me just welcome everyone to today's
22 Science Symposium, both here in the room and those

1 online. This really gives us an opportunity to
2 hear both about the impactful science done by
3 people outside the center that relates to the
4 products that we regulate, as well as both to hear
5 about the science done in the center, and also to
6 celebrate that science, for instance, with the
7 posters done at the center.

8 So we really appreciate the people to
9 take the time to attend today. I know a lot of
10 work went into getting this together. Before I
11 get started, I want to take a moment to thank
12 Monica Young, Emily Bronstein, and especially
13 Karen Elkins. It takes a lot to put this
14 together. There were a lot of -- I didn't know
15 from seeing a lot of the emails. So thank you so
16 much.

17 So today I'll start off with what will
18 be a historical perspective. There are people in
19 the room who probably have a better historical
20 perspective than I do, having lived through it.
21 But I will tell you the historical perspective
22 that I have.

1 And some of it has been helped because
2 although he retired a few years ago, we had a
3 person who was in charge, our records receiver
4 named Joel Misner, who kept me very well informed
5 of CBER's history. So he had only been working
6 here -- I think when he retired, he had only been
7 working for CBER for about 50 years.

8 But also then after that, we'll have a
9 session this morning on cell tissue gene
10 therapies. And this afternoon, on advanced
11 manufacturing.

12 So let's see, what I will do here is
13 talk a little bit about our origins, talk about
14 where we were physically located, something about
15 the science that we've done knowing I can only
16 touch on the select amount just to give people the
17 flavor, and a little bit about what we've done on
18 regulation over the past two years.

19 So like most regulatory agencies in the
20 United States, it's very unusual that a regulatory
21 agency comes into being because someone thinks
22 it's a good idea to regulate something. In

1 general, regulatory agencies come into being
2 because something bad happens. And that's exactly
3 how CBER came into being before FDA came into
4 being.

5 So in 1901, the two biologic products
6 that were relatively commonly made around the turn
7 of the century, that is the 19th to the 20th
8 century, were diphtheria antitoxin and smallpox
9 vaccine. And unfortunately, in two separate
10 instances in that year, children died from
11 contaminated product.

12 In one case, it was really a tragedy
13 because a horse had been euthanized that had
14 tetanus, and had been -- the terminal bleeds had
15 been packaged up. And unfortunately, they were
16 just released, even though someone could have made
17 the connection. So, it really kind of shows you
18 what can happen in this situation.

19 What ended up happening is in 1902,
20 Congress reacted, and the Biologics Control Act in
21 1902 was passed which required the licensing of
22 manufacturers of vaccines, serums, and antitoxins,

1 and it also authorized the inspection of
2 manufacturing facilities.

3 And then, by 1903, there was a
4 regulatory authority, which was initially located
5 in the Public Health and Marine Hospital Service.
6 It was renamed at that point, the Public Health
7 and Marine Hospital Service.

8 And then, as you'll see, it eventually
9 became part of the National Institute of Health,
10 and since 1972, FDA. So we predate -- the early
11 portion of CBER's history predates FDA by about
12 three years.

13 The Biologic Control Act of 1902, it's
14 worthwhile reading because it shows you -- in what
15 is only about four pages of printed text, contains
16 the essence of what we do still for biologics.

17 And it actually was quite a powerful
18 Act, in that it gave us things like recall
19 authority, something that other medical products
20 still don't have in some cases. And you can see
21 that it was Theodore Roosevelt who signed this
22 into law.

1 Now where we have been over time is kind
2 of an interesting story. This is the hygienic
3 laboratory of the Public Health and Marine
4 Hospital Service. As a trivia question we can
5 ask, where is this located? It happens to be on
6 Staten Island of all places, and it was built
7 actually before the turn of the 20th century.

8 This is a picture, which is about 1915.
9 It could be a little earlier, but the hygienic
10 laboratory was where dysfunction on biologics
11 regulation sat with. It sat there until about
12 1930, at which point it became -- the hygienic
13 laboratory became the National Institute for
14 Health, which then subsequently or around the
15 second World War, became the National Institute of
16 Health.

17 Here is the National Institute of Health
18 campus around 1947. You can kind of see -- let's
19 see. I'll even try to use the laser. You can
20 kind of see that's still Building 1 there,
21 although the rest of these buildings are -- I
22 really can't. I think the clinical center is

1 somewhere over here now. That's kind of an
2 interesting look.

3 And now, this is a building that some
4 people may -- send shutters down people's spines.
5 That is Building 21 -- sorry, Building 29, around
6 1960 when it was dedicated. Actually an
7 interesting piece of this, there are lovely
8 pictures if somebody wants to look at them down in
9 the documents, the control room.

10 The King of Thailand dedicated this
11 building when it was opened in 1960. It was, at
12 the time, supposed to be a state of the art
13 laboratory. Some know that it probably became not
14 so much the state of art relatively quickly, but
15 we grew enough during that time that additional
16 space actually had to be built.

17 First, Building 29A, which was built in
18 1965 through 1967. And then, Building 29B, which
19 was built in the early 90's to house the expansion
20 of biologics regulation.

21 As an interesting note, biologics were
22 regulated by the National Institute of Health

1 right up through 1972, at which point, they were
2 transferred by the then Secretary of Health
3 Education Welfare to the FDA.

4 If anyone wants to know the reason why,
5 it probably had a little bit to do with some
6 trouble with influenza vaccines around that time.
7 And so, that was decided to move biologics
8 regulation over to FDA.

9 That said, these buildings stayed on the
10 NIH campus, along with their occupants. And the
11 laboratories stayed on the NIH campus right up
12 through 2014 when we moved over to White Oak. And
13 here at White Oak, here's a picture of Buildings
14 71 to the left, and 52 which is a lab building.
15 So we were all located on one campus.

16 In between this period, we were located
17 -- the labs on the NIH campus, and the various
18 review offices in about six different buildings
19 along Rockville Pike. I can even remember back to
20 that time because when I came, we were located all
21 up and down Rockville Pike, and on Nicholson Lane.

22 So we've been around a little bit, and

1 now we're consolidated on this campus.
2 Interestingly it's -- you can see this in the NIH
3 heritage here though is very real, and is a part
4 of why our research and regulation are so -- those
5 go hand in hand in the center.

6 So let's talk a little bit about the
7 science that's been done at the center over time.
8 I couldn't do justice to it all without putting
9 everyone to sleep, or without going through a lot
10 of information. But just to give people flavor of
11 some of the really groundbreaking research that
12 was done.

13 Margaret Pittman, who was at the center
14 from 1936 to 1971, was the one who discovered
15 various different varieties of H Flu, and found
16 that Type B caused serious disease in children,
17 such as meningitis and pneumonia. And her work
18 formed the basis for development of an effective
19 antiserum and a vaccine to protect against serious
20 disease from H Flu Type B.

21 This is the kind of work that actually
22 has been on multiple times since the center.

1 Another example of this is Paul Parkman, who
2 became Center Director ultimately, and Harry Meyer
3 Jr., who were working in the 1960s, developed the
4 first effective experimental vaccine for Rubella
5 by passaging the virus extensively in culture over
6 two years.

7 And they prepared a live attenuated
8 viral vaccine for testing in children that
9 ultimately was further developed, and that
10 resulted in the first licensed Rubella vaccine in
11 1969. So quite another accomplishment.

12 And then, another -- again another along
13 the lines of things that were done at the center,
14 one of the real challenges has been the cost
15 effective manufacturing of vaccines.

16 And it turns out that the polysaccharide
17 protein vaccine conjugation technique that was
18 used in the vaccines was quite expensive. But
19 Robert Lee and Carl Frasch, developed a new
20 vaccine conjugation technique that they patented
21 through the center.

22 And that technique was much less

1 expensive, and that ultimately led to a
2 development of Meningococcal A Vaccine, which
3 could be produced at a fraction of the cost of the
4 one being used in the United States.

5 Ultimately that technology was
6 essentially given away -- or donated, and resulted
7 MenAfriVac, which has been used and deployed
8 widely in Africa. This vaccine is credited now
9 with saving over 100,000 lives. So quite an
10 accomplishment stemming out of the CBER labs.

11 And then, more recently just -- and this
12 is a smattering, so this is -- I hope I don't
13 offend anyone. This is just -- everyone should
14 know that I want to recognize everyone's research.
15 But I'm just going to recognize a smattering of
16 research, and some of it's a little older from
17 recent research, but it's worked that's proved its
18 importance over time.

19 You know, people may be aware that the
20 current acellular pertussis vaccine, seems to not
21 be quite as effective as the old whole-cell
22 vaccine that was used, the killed whole-cell

1 vaccine. And Todd Merkle and colleagues wanted to
2 understand why that seemed to be the case.

3 They did something that -- again the
4 center has come through pretty well, developed a
5 model. This is actually down here, a green
6 baboon, I think. And that green baboon model was
7 used to try to look at what the cause of failure
8 was, and just to get to the bottom of this.

9 Basically it was found that although
10 acellular vaccine protected against disease
11 related to pertussis, it failed to prevent the
12 infection and transmission. So if you had kids
13 around who were around kids who were not
14 vaccinated, unfortunately you could still pass on
15 the pertussis.

16 So again, really interesting finding
17 that may lead to the development of better
18 vaccines. I can tell that their parents didn't
19 really like the old whole-cell vaccine causes
20 fevers of 101, 103 degrees, which unfortunately
21 then becomes associated with febrile seizures.

22 So people like the newer acellular

1 vaccine better, but as -- when you have problems
2 like we do in the United States today where
3 vaccine coverage rates often dip below 60 or 70
4 percent in certain, very local regions of the
5 country, it's a problem. So hopefully, a better
6 vaccine coming in the future, and hopefully people
7 will take it.

8 In the area of blood research, you know,
9 when I first came to the center there were a fair
10 number of reports of new lobby on products being
11 associated with blood clots, and there were a
12 variety of serious blood clots. Everything from
13 clots in veins and the legs, to heart attacks and
14 strokes, and really was not clear on what was
15 causing them.

16 And I remember being in the clinic in
17 the early 2000s and through the 2010s, where we
18 were wondering what was going on here. And during
19 a cluster of these associated with specific globs
20 of immune globulins, it was the insight of some of
21 the researchers at CBER that maybe this was a
22 contaminant.

1 Potentially even a Factor XIa was
2 identified as contaminating a certain immune
3 globulin perhaps, and being associated likely with
4 the causation of these clotting events.

5 And Mikhail, Ovanesov, and colleagues
6 developed a nice assay for this, which is now
7 routinely used to make sure that there is not a
8 contaminating Factor XIa above a certain level
9 that can cause it. So again, how our regulatory
10 research makes a difference on the lives of people
11 by helping to make better products.

12 In the cell therapy research area, and
13 this is really an evolving area where stem cell
14 therapies are used all over the place, we really
15 don't understand very much about them often.

16 And several years ago, a group of
17 scientists from different disciplines at CBER
18 tried to better understand what was going on with
19 these products. Steve Bauer and colleagues looked
20 at the ability of mesenchymal stromal cells to
21 differentiate, and found that basically different
22 cell lines made in a similar manner and then

1 passage, could look very different.

2 And it became clear that passaging cells
3 in a culture really did affect them very
4 dramatically. Now you might say, well does that
5 really matter a whole lot that people should have
6 realized that?

7 It turns out that this turns out to be
8 very important for us from a regulatory
9 perspective because these data help form the basis
10 of how we can say that cells that are put in an
11 incubator, and let's divide one or two times, are
12 not the same as the cells that are harvested.
13 Therefore, they are more than minimally
14 manipulated. It also gives you insight as to the
15 variability that we're dealing with xylotherapy
16 products.

17 And then, finally, not to forget our
18 biostatistics and epidemiology colleagues, we've
19 had a lot of work done at the center and in this
20 area, and real world evidence has been one of the
21 areas of focus.

22 And it's been used for several years at

1 the center for the evaluation of product safety.
2 But now also, we've been exploring it for the
3 evaluation of effectiveness, and our staff have
4 used -- our scientists have used a rigorous
5 methodology. There are protocols that are written
6 up front with hypotheses that are documented.
7 These are not fishing expeditions.

8 And an example of what has been done,
9 which I think is pretty impressive, is Hector
10 Izurieta and colleagues used a Medicare database
11 to look at high dose versus standard dose
12 Influenza vaccines. And this was done shortly
13 after a large randomized clinical trial was done
14 in 36,000 people looking at that comparison.

15 And you can see, they were able to use
16 something like 2.5 million people comparing, you
17 know, 900--some aught thousand high doses, versus
18 1.5 million and a half plus standard does
19 recipients, and come up with improvement and
20 effectiveness to 22 percent, which was quite close
21 to the 24 percent that was shown for prevention of
22 improvement -- in prevention of Influenza-like

1 illness in the randomized trial.

2 Except there was something a little
3 better with their data because as opposed to a
4 role of efficacy of 24 percent reduction in
5 Influenza-like illness, it actually was a 22
6 percent reduction in hospitalization, a hard
7 outcome that really, very easily in pharmacal
8 economics, translates into cost reduction. So it
9 just shows that sometimes that real world evidence
10 can actually be as powerful as clinical trials
11 conducted in a more standard format if the setting
12 is right.

13 So just to finish up, I want to spend a
14 couple minutes talking about some of the
15 regulatory things we've done over the past years,
16 and just the past couple of years. I don't have
17 time to go through as many of the different things
18 as I probably should. But I figured I'd pick,
19 like, from the greater tips.

20 So we had to address the COVID-19
21 pandemic, a little thing that's only supposed to
22 happen once in a hundred years, we hope. But we

1 have to always be ready for these events, so
2 addressing this was a very important thing, and
3 learning from it was very important.

4 We talked a little bit about modernizing
5 the blood donor criteria, and advancing
6 development of novel gene therapy. And again, the
7 most important operator here is this is just to
8 mention a few of the things. Again, I don't want
9 to take up too much time.

10 But during the pandemic, it became clear
11 that FDA could play a major role here in helping
12 to move things forward in part because our
13 knowledge at CBER regarding vaccine development
14 stems from everything from selecting strains --
15 that's what we do for Influenza.

16 We help to select strains, up through
17 looking at manufacturing technologies with what
18 some of the things we do in our labs, up through
19 helping to make sure these vaccines are studied to
20 be safe and effective.

21 And then, making sure the lots are
22 released, are safe and meet criteria. And then,

1 we follow post-market surveillance. So we have
2 kind of stem to stern, soup to nuts, whatever
3 analogy you'd like, the whole gamut of vaccine
4 development.

5 So it really put is in a unique place
6 when the pandemic came along to think about what
7 we could do to accelerate the pace of vaccine
8 development. Why does it need to be accelerated?

9 So at the beginning of the pandemic,
10 various manufacturers, it was actually two or
11 three of them, came in with timelines for a
12 vaccine that took about two years or more.

13 So that two, two and a half year
14 timeline was very jarring because that could be
15 juxtaposed next to data from the Centers for
16 Disease Control and Prevention which showed that
17 during the extra year wait from -- if you can do
18 it in one year, you would lose X number of
19 patients or people. And if it took two years, you
20 were going to lose Y number.

21 That Y number was somewhere around the
22 order between and 3 million larger. So that's a

1 large number of lives to have at risk that you
2 could potentially do something about. So we
3 looked back at, you know, what was done for
4 traditional vaccine development. Traditionally,
5 vaccines are a very low margin product. People
6 try to derisk the development incredibly, and so
7 it proceeds just like, you know, from one stage to
8 another.

9 Phase one studies get completed. You'll
10 look at them, and then you'll start phase two
11 studies if that looks good. And then, if phase
12 two looks good, you do phase three studies. And
13 for a number of vaccines, there's not commercial
14 scale up till after the phase three data come in.
15 And so, this can actually really delay
16 availability.

17 So the piece -- the insight during
18 operation warp speed, one of the insights was,
19 could you essentially do things in parallel rather
20 than in series? And that included everything from
21 doing phase one, two, three trials -- and by the
22 way, the Pfizer trials was the one, two, three

1 trial.

2 The large vaccine trials that were done
3 were phase one, two, three studies, you might say,
4 what does that -- how does that help? Well every
5 time you start the study, you have to go through
6 contracting and IRBs. So by eliminating that,
7 that shrunk time.

8 Additionally, it was decided that once
9 the vaccines were shown to be immunogenic, even if
10 they had not yet to be shown effective, we would
11 just start scaling up production, and that was
12 done.

13 Now that could have been a wasted couple
14 billion dollars, but considering that the
15 one-month shutdown starting in March of 2020 cost
16 over a trillion dollars, a couple billion dollars?
17 What the heck? Boy I'm sure I'll get flack for
18 that from someone in Congress, but it was a
19 reasonable risk to take.

20 And then, there were other things we
21 realized we could do. We issued very clear
22 guidance, and we also threw -- and I think this

1 was an incredible thing that the staff in our
2 center did, is they made themselves in the Office
3 of Vaccines, and the Office of Biologics Quality
4 Biostatistics Epidemiology and even the people in
5 our Office of Communications, made themselves
6 available, essentially almost 24/7 so that we
7 progressed through and answered questions in real
8 time, rather than having the usual formal
9 meetings. And that, I think, make a big
10 difference.

11 And this is the mRNA COVID-19 vaccine
12 timeline. People are aware that on January 11,
13 2020, the first sequence data were obtained. By
14 March, they were first in human studies with two
15 manufacturers for the mRNA vaccine. July, the
16 randomized trials were initiated. And by October,
17 we had the first randomized trial data available.

18 By November, we were reviewing. And in
19 December 2020, by the 11th and 19th of December,
20 we had issued two different emergency
21 authorizations for these vaccines, and people were
22 getting vaccinated days later after the emergency

1 authorization. And so, really a tour de force of
2 what CBER was capable of doing working together.

3 In terms of other areas, people may be
4 aware of the HIV epidemic, which dramatically
5 affected our products. In the late '70s, early
6 '80s, CBER regulated clotting factor concentrates
7 for hemophiliacs. Many of those at that time, in
8 fact, were made from pools of human plasma.

9 And unfortunately, at the time when HIV
10 came along, most of those pools became
11 contaminated with HIV. We didn't know it at the
12 time that it was HIV. But in retrospect, that led
13 to about 95 percent of hemophiliacs who were
14 treated with factor concentrate, those who had
15 severe hemophilia in 1980 to contracting HIV, and
16 many of them ultimately died of AIDS.

17 But in order to deal with this, deferral
18 criteria were put in place in the mid-'80s that
19 were relatively strict. They basically tried to
20 prevent people from, who had risk factors from
21 donating, even though we started to have tests
22 which could pick up the virus. And that was

1 because the tests were not perfect.

2 And so, this overlapping set of
3 protections was what was necessary to keep the
4 blood supply safe because this was -- as people
5 who are old enough to remember, it was considered
6 really a tragic failure of safety of the blood
7 supply.

8 So these measures were put in place.
9 The issue was that the test got better and better
10 and moved towards nucleic acid testing, we did not
11 necessarily keep pace with updating our deferral
12 criteria.

13 Eventually by 2010/2015 period, it
14 became clear that other countries were starting to
15 move away from indefinite deferrals of men who had
16 sex with men, and were starting to think about
17 shorter deferrals like a year or so.

18 And ultimately, FDA moved to, based on
19 the science available, moved to a time-based
20 deferral. Ultimately that was not felt by the
21 community to be an acceptable and fair way of
22 doing deferrals because there was the questions

1 of, well people who had one partner were at risk
2 of having a new infection, it was low if they
3 donated.

4 And so, ultimately we looked at the
5 date, and had modernized to an individual risk
6 assessment for blood donors based on everyone's
7 risk for the potential HIV transmission. So
8 again, we use science to kind of modulate our
9 regulatory approach.

10 And then, finally I'm just going to move
11 onto where we are in cell tissue and gene
12 therapies. There's a lot we can say in the cell
13 therapy area, but I'm going to talk about gene
14 therapies in part because I think as we look
15 forward, one of the most exciting areas that we
16 are regulating here -- and some of these are
17 cell-based gene therapies too.

18 So we currently have 20 approved gene
19 therapies in the United States. If you count --
20 just so you know, you can count these differently
21 and say they're either 19 or 21. So I'm going to
22 argue with you, but we use the average of 20. And

1 if someone wants to see me later, I can tell them
2 why.

3 But the deep blue ones on the slide are
4 the modified T Cell therapies, which include six
5 chimeric antigen receptor T cell therapies, and
6 Tecelra which is for synovial sarcoma. And so,
7 finally, a non-hematologic based T cell product.

8 The ones in green are genetically
9 modified stem cell therapies, notably for sickle
10 cell disease and beta thalassemia, including
11 Casgevy which is the first CRISPR/Cas9 genome
12 edited product that has been approved.

13 Relevance since -- at 3:30, we'll be
14 hearing Jennifer Doudna on that. And she can --
15 you can ever ask her when she first reported that
16 and realized there's not a lot of years between
17 when she described it, you know, a decade plus a
18 little bit, between when it was -- that system was
19 first well described, and when -- for genome
20 editing and when Casgevy was approved.

21 So one of the issues here is that this
22 is a field that has been very exciting, has been

1 slower than we might like to grow an application
2 in part because many of the challenges that exist.

3 These are generally small populations
4 that are being affected for many of these gene
5 therapies, at least for this first wave of gene
6 therapies. And by definition, we have to deal
7 with small populations because Adeno-associated
8 viral vectors cannot be made at scale that could
9 treat tens of thousands of people. That's not
10 currently possible.

11 So we're dealing with, by necessity,
12 treating small numbers of individuals. But that
13 unfortunately brings a problem with commercial
14 viability. So we're taking action at the center
15 to try to deal with that.

16 And our office of therapeutic products
17 has been working on advancing the manufacturing
18 for gene therapies, applying the platform
19 technology provisions that were passed by
20 Congress, looking to define the use of accelerator
21 approval more clearly for gene therapy, looking to
22 see if we can work with our European colleagues,

1 and our other global regulatory colleagues, to
2 accelerate convergence of gene therapy regulations
3 and review.

4 And then, trying to use some of what
5 we've used from the pandemic in terms of
6 communication tools to see if that could help rare
7 disease therapy. When you think about it, CRISPR
8 is an incredible poster child of platform
9 therapies.

10 When I think about this, this is to me,
11 you know as peering over for science that we're
12 doing at the center, a very exciting place to be.
13 Because when you look at the prime editor taking a
14 reverse transcriptase and linking it to CRISPR,
15 you suddenly have a molecule that has incredible
16 power in terms of editing DNA. Almost like word
17 processing, with limited length across the genome.

18 And because it can potentially put in
19 sites to do directed insertion of larger stretches
20 of DNA, again potentially very important tool. So
21 this is obviously something that we look over
22 towards the future I think will be very exciting

1 for us, and it will keep us occupied and in
2 business regulating things, as new technologies
3 come up that will be passed prime editing.

4 So I think just to summarize, we have a
5 rich history deep in regulatory work based on
6 scientific foundations. This combination of doing
7 science while we're doing regulation is really
8 well suited to the brisk pace of scientific
9 progress that's going on in this field.

10 As somebody who, when I worked in
11 industry worked on small molecules, it's true
12 there are developments in small molecule
13 synthesis, how to make sure a large Grignard
14 reaction doesn't really blow up too badly when
15 you're adding a catalyst, MEL catalyst.

16 But all kidding aside, it's not the same
17 as the pace of change we're seeing in this area of
18 biologics. And I think we can see that in all the
19 areas we regulate, not just vaccines and blood
20 products, but also cell tissue and gene therapy.

21 So thanks so much. I really welcome you
22 today, and look forward to a very exciting three

1 days ahead. Thank you. And what? Let's see, we
2 can try to do a couple of things here, which is
3 first -- let's see here.

4 DR. ELKINS: Questions in the room are
5 available by way of the two microphones.

6 DR. MARKS: I think we have, like, a
7 couple of minutes of questions. And maybe at the
8 same time we can --

9 DR. ELKINS: And then, we have someone
10 monitoring the chat on the Q&A section online for
11 questions online.

12 DR. MARKS: One last thing just so that
13 I just show this while we're getting questions,
14 and really just so the people realize here. Our
15 staff is our most important asset. This is back
16 in the day when we had people in the building in
17 large numbers, which we occasionally do still.
18 This is one of our group gatherings. Okay.

19 DR. ELKINS: Yes. You may need to turn
20 on that mic. There's a little slide bar on the
21 top, right above -- yes there you go. You got it.
22 Thank you.

1 SPEAKER 1: Hello? So, thank you very
2 much, Dr. Marks. It's given various insight into
3 this, and so is very helpful and supportive. So I
4 have two questions for the vaccine.

5 So with Mpox vaccine for in the future,
6 would you still be able to set forth viable
7 accelerator process phase 1, phase 2, phase 3
8 altogether?

9 DR. MARKS: So I think the question was
10 about an Mpox vaccine.

11 SPEAKER 1: Yes.

12 DR. MARKS: Whether you could move ahead
13 a vaccine. I think that would be one that you'd
14 have to come in and have a conversation for. But
15 I think there's no reason, in principle, why one
16 couldn't design that type of a protocol in advance
17 with the office's input.

18 With pre one, you would want to make
19 sure that you had input with the office just to
20 make sure they agree with all of the statistical
21 considerations before you start out.

22 SPEAKER 1: Thank you. And my second

1 question was in gene therapy. So we see that
2 there are a lot of moving supports from everyone,
3 and we would need a lot of approval nowadays. And
4 my question is that -- because I see that you have
5 put a lot of effort on the pilot program global
6 regulatory as well.

7 So for the (INAUDIBLE) either one is
8 (INAUDIBLE) for FDA, and EMA, and Canada, and UK
9 somewhere, would you be able to be responsible to
10 coordinate with the FDA directly to put a global
11 inspection, particular inspection, instead of
12 working with an agency?

13 DR. MARKS: Right. So the question has
14 been, I think, could we do -- are you saying
15 reliance on individual and other country's
16 inspectors rather than use our --

17 SPEAKER 1: Yes.

18 DR. MARKS: So in this area of
19 biologics, we are working towards getting towards
20 mutual reliance. But it is a slow process because
21 we want to make sure that our inspectors, and the
22 inspectors in whatever country are looking at

1 things in a similar manner.

2 So we'll hopefully get somewhere. Right
3 now, we're not quite there yet in vaccines. We're
4 going to get there hopefully in not too distant
5 future in cell and gene therapy.

6 SPEAKER 1: Thank you.

7 DR. MARKS: You're welcome. Other
8 questions? Okay.

9 DR. ELKINS: I'm told we don't have
10 anything online.

11 DR. MARKS: All right.

12 DR. ELKINS: So thank you again, Dr.
13 Marks.

14 DR. MARKS: Okay, well thank you. And I
15 will take this opportunity -- well are you going
16 to introduce Dr. Kohn?

17 DR. ELKINS: (No verbal response.)

18 DR. MARKS: Excellent, okay.

19 DR. MAZOR: Okay. Good morning
20 everyone. My name is Ronit Mazor. I'm a
21 researcher in the Office of Gene Therapy, and on
22 behalf of my co-chair, Dr. Alexander Zhovmer, and

1 myself, I'm happy to welcome you to the cell
2 tissue and gene therapy session.

3 I'll start by introducing our keynote
4 and first speaker for the day, Dr. Donald Kohn.
5 He is a distinguished professor at the University
6 of California in LA in the department of
7 Microbiology, Immunology, and Molecular Genetics
8 and Pediatrics. He performs labs and clinical
9 studies on gene therapy for blood diseases,
10 especially in primarily immune deficiencies and
11 hemoglobinopathies.

12 His research is focused on developing
13 and improved methods for adding and editing genes
14 for human hematopoietic stem cells, and validating
15 these approaches in early phase clinical trials.
16 And after that, we'll follow up with Q&A. Thank
17 you and welcome.

18 DR. KOHN: Thank you very much. It's a
19 real honor to be asked to speak to all of you. I
20 really appreciate the work you're doing keeping us
21 all safe here in the timeline of a vaccine
22 development. Again it's just amazing that within

1 a year of a new disease described, that we were
2 getting vaccinated and partying six months later.
3 So it's really fantastic.

4 So I decided today that I'm going to
5 talk about just a single topic. We do work on
6 several diseases and several modalities. But sort
7 of my career I just realized sitting here, next
8 year will be 40 years I've been working on
9 ADA-SCID. Still haven't gotten quite where we'd
10 like to be, but I'll talk about our work over the
11 last three, four decades of my lab work on this.

12 This is my conflict of interest. I'm
13 going to talk about a lentiviral vector that we
14 developed, and I'm an inventor. It's not my
15 retirement plan. I would be happy if it ever got
16 commercialized. And we've spun off a little
17 company, Rarity, which I have equity in that will
18 try and license it when I do consulting.

19 So as I said, I've been in this field a
20 very long time, just quoting Paul McCartney, and
21 some of you who are older can remember that
22 version of him. So the hypothesis for the work we

1 do of using gene therapy with hematopoietic stem
2 cells is that gene therapy is autologous stem
3 cells that are corrected with the normal gene, who
4 have sustained beneficial effects from blood cell
5 production or function without being in
6 complications of allogeneic stem cell transplants.

7 And I'm a pediatric bone marrow
8 transplanter, and besides recurrence of primary
9 disease, graft-versus-host disease is a major
10 problem that we deal with. It's still quite
11 vexing.

12 And so, if you do autologous
13 transplants, that should completely eliminate GVHD
14 risk and also significantly the need for
15 pretransplant immunosuppression. So a lot of our
16 conditioning drugs, Cyclophosphamide through
17 therapy, ATG steroids, are immunosuppressive. And
18 same thing, post-transplant with Cyclosporine,
19 Tacrolimus steroids, et cetera. All those are not
20 needed in auto gene therapy.

21 But as we've learned, we still need to
22 make some space in the marrow to get engraftments.

1 And so, the concept is quite simple. The long
2 term hematopoietic cells need to make the gene
3 normal in those. They will then develop into a
4 lifelong source of genetically corrected blood
5 cells at whatever lineages are needed.

6 And then, just many of you, those of you
7 who aren't in the blood cell area, C34 is a marker
8 that sort of marks about one percent of cells in
9 marrow. It contains the stem cells that are
10 mainly -- actually progenitors we often call the
11 HSPC population.

12 And so, the two approaches are adding
13 the gene or editing the gene. And this is sort of
14 the cartoon of it. So we collect and isolate stem
15 cells from the patient in the laboratory, so
16 ex-vivo. We either add a gene with the viral
17 vector that we'll integrate into the chromosome,
18 therefore be passed along to all the progeny
19 cells.

20 Or if we edit the chromosomes with
21 CRISPR and other methods now, that will also be a
22 permanent change of the stem cells and the

1 billions of blood cells it makes. And so, that's
2 -- the ex-vivo patient then receives conditioning
3 to make space so the cells are infused back into
4 the patient.

5 And so, part of the field development
6 was learning what's the best way to handle these
7 cells ex-vivo to keep them happy, to get them
8 activated but not differentiating. And so, over
9 the years different cytokines as they were
10 identified after early stem cells were developed,
11 it's sort of a combination of FLT3 Ligand, SCF or
12 C-Kit Ligand and Thrombopoietin, kind of has been
13 around now for about two decades. There are
14 variations on it, but that still seems to be the
15 best combination of what we know currently.

16 The sort of -- the conditions that we
17 have the cells under, so we usually just have them
18 in bare plastic because if you have a stromal
19 marrow layer, or another type of stem cell, that
20 makes them happier.

21 Recombinant fibronectin was identified
22 as sort of serving that same function. And now,

1 more recently there's some transduction enhancers
2 that actually significantly increase gene
3 transfer.

4 We started off with fetal calf serum.
5 Everyone's moved to doing things serum-free. And
6 then, the viral vectors that have been used has
7 sort of changed over time. We started out with
8 mirroring gammaretroviral vectors in amphotropic
9 envelopes.

10 They would get into human cells. Given
11 a leukemia virus, the enveloped seemed to be a
12 little more efficient. And then, about the early
13 2000s, most people switched to using lentiviral
14 vectors which are more efficient.

15 And one of the big things about
16 lentiviral vectors is you can transduce the cells
17 just in overnight cultures. So we put them into
18 culture overnight to activate them, partly turning
19 on the LDL receptor which is the receptor for
20 VSV-G envelope, add the virus the second day, and
21 freeze the cells.

22 So they're only in culture two days

1 because we still can't really, to a large extent,
2 expand hematopoietic stem cells. Every day
3 they're in culture, we tend to lose them. So the
4 shorter culture period with lentiviral vectors
5 over retros, they require the cells to undergo
6 mitosis. It seems to give a better cell product.

7 And of course, now we're in the age of
8 editing, as Dr. Marks referred to. And so, we're
9 still using the same conditioning, and we're
10 looking at types of modulated that will improve
11 the type of edit we want, homology-directed repair
12 for example.

13 And so, the procedure is relatively
14 straightforward and, you know, when we do it in
15 our academic lab or three or four post docs doing
16 it, obviously accompanies the burden of quality
17 and documentation is much higher.

18 So we collect the cells from the patient
19 at a clinical site. They come to a GMP lab. We
20 isolate the C24 fraction to enrich our targets.
21 And then, they just culture typically for a day of
22 pre-simulation, a day of transduction, and then

1 typically the cells are then cryopreserved. All
2 the release testing is done when the drug product
3 meets release criteria. The patient then comes in
4 for conditioning and cell infusion.

5 So the disease I'm going to talk about
6 is Severe Combined Immune Deficiency. This is
7 just a little background. I think everyone here
8 knows this. So bone marrow makes -- is where the
9 hematopoietic stem cells are, makes all the blood
10 cells except the one special one that has to go to
11 college first, and that's the T cell.

12 So it goes from the marrow into the
13 thymus, the university of T cells, where it takes
14 of course V(D)J 101, rearranges the T cell
15 receptors, and then comes out as mature T cells
16 that are hopefully not autoreactive, but are
17 reactive as sworn antigens.

18 And in SCID, that doesn't occur. So for
19 a variety of genetic defects, T cells don't
20 develop. Neither B cells that don't develop or
21 don't get the T cell help they need to function.

22 And so, SCID is the most severe primary

1 human immune deficiency where there's absentee in
2 B cell function, and K function is present or not
3 at depending on the genetic cause of SCID.

4 And this disease was uniformly failing
5 infants throughout mankind's history before
6 treatments were developed from severe progressive
7 infections acquired, you know, shortly after birth
8 after they start getting out in contact with the
9 world, and also, we typically -- failure to try
10 chronic diarrhea.

11 And so, we now know that SCID can result
12 from at least 20 different genes can get some of
13 the same clinical phenotype, and it can be broken
14 down into several categories. So one way to look
15 at it is those involving T cell signaling, most
16 common is the IL-2 receptor gamma, the common
17 cytokine chain, which is about a third of SCID
18 patients, JAK-3 which is downstream, IL-7
19 receptors, et cetera.

20 Then there's combinations needed for
21 V(D)J recombination. So lymphocyte-specific RAG-1
22 and RAG-2, but also other genes that are

1 involved in any EJ non-homologous end joining is
2 part of V(D)J recombination.

3 If you're missing those, you have both
4 SCID and also severe radiation sensitivity. So
5 conditioning them for the transplant needs to be
6 done much milder. And then, there's one that I'll
7 talk about, a purine metabolic disorder, which
8 wouldn't have been thought of as a cause of SCID
9 ADA deficiency.

10 And we know that an allogenic transplant
11 would be the cure to this as the first successful
12 human allotransplant was done in 1968 for a young
13 boy with -- or baby boy with SCID.

14 He got stem cells from his sister. This
15 was before there was even age-linked typing. But
16 they had done a mixed leukocyte culture. They
17 didn't react, and he's alive and an adult now with
18 his sister's immune system still working for him.
19 And then, Robbie Park, when my mentor did the
20 first transplant for ADA SCID.

21 And in general, there's a very high
22 success rate for SCID patients if they have a

1 matched sibling donor, limited only if they
2 acquired a severe infection before the transplant.

3 The problem has been most patients don't
4 have a matched sibling donor. So we either use T
5 cells that we have though typically from a parent,
6 or a matched unrelated donor. In the past, those
7 success rates have been lower.

8 And so, this is just a pie graph looking
9 from a recent study from the primary immune
10 deficiency treatment consortium looking at 250
11 recent patients with SCID, what their genotypes
12 were.

13 So this again shows about 30 percent
14 with IL-2 RG, percent with ADA, and 20 percent
15 with RAG-1 and RAG-2, and still a small fraction
16 with unknown genotypes. There's still some new
17 causes that need to be discovered.

18 And so, ADA SCID, and I'll talk about it
19 the cause of about 12, 15 percent of human SCID
20 from among those 20 genes. And that means there's
21 about 8 to 12 patients born a year in the U.S. and
22 Canada. So this is not the public health risk of

1 our time, but it's still, you know, an important
2 disease that we can do something about.

3 And so, it was the first genetic form of
4 human SCID with a biochemical and genetic bases
5 were determined. In ADA SCID patients they had
6 profound lymphopenias T-B-NK+SCID typically from
7 lymphotoxin as needed tablets. I'll show a figure
8 of that.

9 And so, there are therapeutic options.
10 There's allotransplant like I said with sibling,
11 matched unrelated donor, or Haplo. There is an
12 enzyme replacement therapy. So in 1990, an orphan
13 drug polyethylene glycol conjugated both on ADA
14 was approved.

15 And kids can get injections of that once
16 or twice a week, and that will lower the pools of
17 the toxin metabolite enough for it to be partially
18 immune reconstitution.

19 It's very expensive, about \$300,000 a
20 year or more. As they get older, it only leads to
21 partial immunity, but can be definitely lifesaving
22 as a bridged transplant. And there's auto

1 stem-cell transplant with gene therapy.

2 So this is -- I'm sure you all remember
3 this from your biochemistry class. So the enzyme
4 deoxyadenosine that deaminates to deoxyadenosine
5 which can either be broken down to uric acid or
6 salvaged.

7 And in 1972, when Eloise Giblett at the
8 Puget Sound Blood Center was looking for a donor
9 for a patient with SCID, she looked at number of
10 different proteins that came in different isozyme
11 forms to see which siblings shared the most with
12 the patient, and discovered the patient lacked
13 ADA, and the parent had half normal levels. And
14 she made the deduction and absence of ADA could
15 cause SKID.

16 And so, we now know the reason is in
17 lymphocytes, there's very high levels of the
18 kinases that will phosphorylate and trap it. And
19 dATP is exhibited for arrived nucleoside
20 reductase, and it acts as a lymphotoxin.

21 And so, in the earlier days this is
22 paper from 2012 looking at an outcome of 106

1 patients with ADA SCID at five centers who had
2 allotransplants. So you can see again, those with
3 matched sibling or matched family donors had
4 fairly good outcomes, 80, 85 percent, which those
5 with the low matched donors, their survivals were
6 much worse because of the immune differences were
7 more likely to have graft-versus-host disease.

8 Fortunately, this is improving. So this
9 is a paper again from the Primary Immune
10 Deficiency Treatment Consortium looking at the
11 outcomes for ADA SCID patients. And again,
12 looking to an earlier era, the outcomes with
13 transplants getting the enzymes or not were about
14 80 percent.

15 In the more recent era, it was not
16 significantly worse than gene therapy where gene
17 therapy looks a little better. And so, we're
18 definitely getting better with our allotransplant
19 outcomes, and that's obviously good news.

20 So this is my lifetime history of
21 treating ADA SCID patients. I'll briefly walk you
22 through this. So back in 1993, we had version 1.0

1 of our gene transfer methods as I showed you in
2 the previous slide.

3 So I offer out the C-Kit Ligand in bare
4 plastic plates. We treated three newborns who had
5 been diagnosed in utero with ADA SCID, collecting
6 their cord blood as a source of CD34 cells. And
7 we transplanted them without any conditioning. We
8 got really minimal in grafting. There were a
9 little bit of T cell productions, but very little
10 in graft in those conditions.

11 So we spent about a decade in my lab to
12 try and make the vectors better, our crossings
13 better. Those cytokines I showed you were being
14 discovered and produced in recombinant form.

15 And so, we opened up a new IND in
16 2000/2001 using sort of these second generation
17 conditions with C-Kit Ligand, FLT3 Ligand, TPO
18 serum-free medium combinant fibronectin, and a
19 lentiviral -- a retroviral vector that we made in
20 the lab using -- promoted seemed to stay on better
21 in mouse stem cells than below the vectors that
22 had been used before.

1 So we treated four patients with that in
2 2001/2002 in a phase one trial. Then we were on
3 hold for three years when patients in -- studies
4 in Europe, for X-SCID developed leukemia. The FDA
5 put all the trials on hold while it was trying to
6 figure out what was the risk factor.

7 During that time in fact, there was a
8 very important paper published from the TIGET
9 group in Milan describing treating two ADA SCID
10 patients, same kind of approach, except they gave
11 them reduced intensity conditioning Busulfan.

12 So low dose conditioning, kind of the
13 equivalent of 200 centigrade if you were
14 transplanting in a mouse as opposed to a 1,000
15 being full conditioning. And they weren't --
16 these were children that couldn't get enzyme
17 therapy, which was always a concern that lumping
18 would select the effect. And they both developed
19 immune reconstitution.

20 So after we got off hold, we had
21 modified our protocol to start giving Busulfan to
22 future patients. And I actually moved across

1 town, and then from CH Lady UCLA, we opened up a
2 phase two trial and we treated ten patients under
3 that. And it was supported actually by hour one
4 from Orphan Products Division of the FDA.

5 But it was clear that lentiviral vectors
6 were emerging as a better virus. They were more
7 efficient into getting in human cells. They
8 seemed to have less genotype toxicity potential.

9 So with colleagues in London, we
10 developed a lentiviral vector, EFS-ADA. We
11 treated a total of 33 patients between 2013 and
12 2018. Then we licensed to a company. I'll tell
13 you all about that story. It came back to us just
14 two years ago. We've now treated -- we'll treat
15 our seventh patient from this new go-around this
16 Friday actually.

17 So I'll tell you a little about these
18 more recent trials, just a little bit about the
19 retro to make a couple points, and then a little
20 more about the lenti. So first of all,
21 conditioning. So this is the Los Angeles college
22 sports version of it.

1 So if your bone marrow is full of these
2 USC stem cells, footballs, and you want to
3 transplant them, if you don't give any
4 conditioning, so no conditioning, there's very
5 little space and you get very little engraftment
6 of the donor cells, even in an antilogous setting.
7 Certainly the allogeneic is a big risk of
8 rejection.

9 If you give full conditioning and really
10 empty the marrow space you're giving the cell,
11 you'll get large donor engraftment. But it turns
12 out, especially with auto again where there aren't
13 the alloreactivities, reducing density
14 conditioning can lead to engraftment of some stem
15 cells. So that's what's being used now for gene
16 therapy in many conditions.

17 So this is the retroviral vector that we
18 developed in my lab in the mid-90's that we did in
19 trials through the 2000's. So a typical
20 gammaretroviral vector with a long term on repeat
21 with a very strong promoter drives expression of
22 the trans gene, and we used our favorite

1 conditions.

2 And this is just a graph I made a number
3 of years ago of one of the patients, one of the
4 best out of that series of patients. So up in the
5 top left. So she was number 8 in the series.

6 She was born in 2011. She was three
7 months old at the time of treatment. She got a
8 good cell dose of 6 million per kilo. The vector
9 copy number of the product, 2.7, was good. And at
10 this point, we're giving a single dose of
11 Busulfan, so the levels varied and we're currently
12 shooting of 4,900. So she had a very good level
13 of Busulfan.

14 So everything worked right, and this was
15 the outcome. So you can see that over time after
16 the transplant, the lymphocyte count, the T cells,
17 the 4s, the 8s, the B cells and Ks all came up.

18 And in the lower corner, the 24 months,
19 she had 2 to naïve to memory cells. She was
20 making new T cells. And on the right, the vector
21 copy number of her blood cells, granulocytes were
22 about 1.4.

1 We know the few times we've done marrow,
2 the vector copy and the granulocytes reflects very
3 much the stem cell level because there isn't
4 really any advances to granulocytes having ADA or
5 not, whereas the PBMC had gone up because that's
6 where the T cells, B cells, and K cells that need
7 ADA. So pretty much you select for those that
8 have the gene. I'll show you a little bit more of
9 that.

10 So this was actually all the patients
11 from that series of ten patients. So on the upper
12 left is their vector copy number, and their
13 granulocytes. Again sort of their marked stem
14 cell or their gene chimerism level.

15 And you see there's a wide range of
16 engraftment. The worst one, 401, was our oldest
17 patient, 50 years old, who had a very low cell
18 dose. And in fact, we put him back on enzymes
19 after six months because he met our failure
20 criteria.

21 But on the top right are the level of
22 marked PBMCs. So you can see that despite the

1 level engraftment, they almost all went up to that
2 higher level of almost one copy per cell because
3 that's the selective advantage. The one that
4 didn't, in blue, is the one who was on enzyme
5 therapy that blunted that selective advantage.

6 And the lower graphs are just the same
7 data instead of sort of graphed on the lines. So
8 you can see the levels of the patients. The bars
9 represent their medians, and I'll use those as a
10 variable in the next figure. And so, again the
11 granulocyte vector copy number is a circuit for
12 engraftment of gene corrected stem cells.

13 And so, if we use that along the x-axis,
14 what's the engraftment level? And this is
15 averaging all the points for each patient after
16 the two years of the initial study. You can see
17 that the level of engraftment varied as we saw
18 before, but everything correlated with that.

19 So ADA activity went up the more the
20 engraftment there was. The level of the bad
21 nucleotides lowered, the higher the copy number
22 was. Lymphocytes, CD3s, CD19, IGM, all went up

1 with better engraftment.

2 And these four patients in the boxes are
3 the four that had sufficient B cell function to
4 get off immunoglobular placement. So they kind of
5 suggested with this vector at least if you have a
6 copy number of about 05, which is about five
7 percent of corrected stem cells, that's enough to
8 restore B cell function.

9 But again, that was with sort of the
10 first generation kind of vector shown at the top
11 where the long term and repeat enhancer promoters
12 are what drives strong transient expression, and
13 also when you're making the vector tight. The
14 tighter the vector, those enhancers can turn on
15 cellular genes near where it lands.

16 And because they land relatively
17 randomly throughout the genome, those that happen
18 to land next to an Onko gene can turn on that gene
19 and lead to clonal outgrowth, and that's what
20 happened in the X-SCID patients. It later
21 happened in CGD, and we've got all these
22 inpatients treated, fortunately all in Europe.

1 And so, the whole field really moved the
2 second generation of vectors shown at the lower
3 part where the enhancers are deleted, and you
4 actually delete it in the plasma. And when it's
5 reverse transcribed, the deletes just get copied
6 over, so it's called self-inactivating, or SIN
7 vectors.

8 So you use an internal promoter to drive
9 expression of the trans gene. If you use a
10 promoter, that's reasonably strong to make a good
11 amount of product but doesn't have enhancer
12 activity. So, PGK, elongation factor alpha, are
13 ones that meet the criteria, or it can be a
14 lineage specific in order to direct expression to
15 a specific blood cell type for example.

16 So this is a vector now that we've been
17 using for the last decade or so. So it's the
18 lentiviral vector with the SIN configuration. And
19 it has the elongation factor short promoter
20 driving expression by code and optimizing the
21 cDNA, and adding this viral element, WPRE, that
22 stabilizes the message, which you get nine times

1 more ADA per copy than without that. So single
2 copy per cell is enough to really correct the
3 metabolic function. And then, there's the other
4 specifications of the vector there.

5 And so, this was made jointly. And in
6 fact, the vector was made in a lab of Adrian
7 Thrasher and Bobby Gaspar at the University of
8 College London. We both made a number of vectors,
9 and this was our favorite.

10 And so, we jointly did the pre-clinical
11 work. We looked at the efficacy, and we found
12 that it had expressed more ADA per copy than the
13 retroviral vector we used before. And then, we
14 did extensive safety studies.

15 So in ADA deficient mice, we didn't see
16 leukemia or clonal expansion, nor in human CD34
17 seen in immunodeficient mice with either vector.
18 So those models were not very informative in a
19 sense, or the risk was low.

20 When we looked at integration site
21 patterns, the gammaretroviral vector as commonly
22 seen, was more often near transcriptional start

1 sites in cancer-related genes than the lenti.

2 And in an invitro mirroring lineage
3 negative bone marrow model, the gammaretroviral
4 vector showed -- caused clonal expansion, whereas
5 the lentiviral vector did not. And these are some
6 of the data from that study.

7 So the mock transduce cells form no
8 colonies. With a very strong retroviral vector
9 RSF-91, many colonies were formed. The retroviral
10 vector that I showed you we used in that phase two
11 trial scored in that, and the lentiviral never
12 formed any colonies. So based on this myeloid
13 skewed proliferation asset, it made the lentiviral
14 vector look safer.

15 And so, we went out to do a series of
16 trials in parallel in the U.S., and then in London
17 at the University College of London Great Ormand
18 Street Hospital. So the MHRA approved it in 2012,
19 FDA in 2013.

20 We've used vector -- made it at the
21 Indiana Vector Production Facility, an academic
22 facility. The same labs were divided between

1 London and Los Angeles. We used the same vector
2 lots.

3 And so they, up to about 2018, treated
4 20 patients in their trials, 10 on trial, 10 by
5 sort of hospital exception. We treated 21
6 patients initially using fresh cells. And then,
7 we did a trial where we cryopreserved the cells
8 and treated 12 more patients with that.

9 And so, this is sort of the scheme up
10 for the patients. And so, with the fresh trial,
11 you know, we would consent the patients typically
12 remotely, and a screening test done to make sure
13 they were eligible.

14 And when they were good to go, they
15 would come to UCLA. We would then get them on
16 Monday. Tuesday, take them to the OR and do a
17 bone marrow harvest, put in a pick line. If by
18 Tuesday night we knew we had enough stem cells to
19 get a single dose of Busulfan, and then Thursday
20 the cells would be washed and brought to the
21 hospital and infused, and if everything went well
22 at Day 30, we'd stop their enzyme therapy and then

1 follow their immune reconstitution. And we
2 treated 21 patients under that approach. And
3 then, we moved to the frozen -- or the
4 cryopreserved trial, where we dissociated. So we
5 made the cells, and then froze them. They did
6 full GMP QA release before the patient came back
7 for the second admission.

8 And now, we've had time to split, and PK
9 adjust the Busulfan. The Busulfan is a drug that
10 is a very large, person to person variation, in
11 pharmacal kinetics. And so, we give three
12 quarters of the intended dose on Monday. Measure
13 that patient's clearance and adjust the dose on
14 Wednesday.

15 And we were giving two to three full
16 variations, and, you know, someone would get a
17 half per kilo, some others would get three per
18 kilo on that second dose. And so, we'd get much
19 more precise targets. I'll show you that. And
20 Friday, the cells are brought to the bedside,
21 thawed, and infused immediately.

22 And so, this is just a map of where the

1 patients -- we got very good Canadian geography.
2 About a third of our patients came from Canada,
3 and we arranged for them to come to UCLA, stay
4 overnight, get their transplant, et cetera.

5 And so, these are data from that --
6 those trials comparing the fresh and the cryo. So
7 the ages were not different. The CD34 doses, the
8 vector copy number of ADA activity, and the ADA
9 per vector copy, and sort of the potency measure
10 of the vector were not different. We're trying to
11 show comparability.

12 But one thing that was different was the
13 Busulfan level that I mentioned. So six single
14 six dose, with a wide range of areas under the
15 curve by PK adjusting with a much smaller
16 coefficient variation of the dosing.

17 And so, these are some of the outcome
18 data. This is a comparison of the fresh to the
19 cryo, and all -- and you can see, the lines are
20 all superimposed. And so, the outcomes -- so this
21 validated that the cryo preserved formulation
22 worked as well as the fresh cells had.

1 And then, this is just one more graph on
2 this. These are, again, the granulocyte vector
3 copy numbers. So I show you in the
4 gammaretroviral vector, the wide range of
5 engraftment levels we got. Now you can see with
6 the lentiviral vector being much more consistent
7 levels with gene marked stem cell and engraftment.

8 And so, we published results of the
9 two-year U.S., and the three-year U.K. trials a
10 few years ago. And we reported 50 patients
11 treated with this approach in parallel trials, and
12 with the approach I talked about.

13 And what we reported was 100 percent
14 overall survival, 96 percent event-free survival.
15 So 48 out of 50 had engraftment and sustained a
16 mirrored constitution. Two of the patients did
17 not work.

18 One of our patients just did not
19 engraft, and we couldn't figure out a cause except
20 she took two harvests to get enough cells. We did
21 trio sequencing, and couldn't figure out a genetic
22 basis for it. And the one in London was very sick

1 at his harvest time, so he had a low cell dose.

2 And so, this is -- I like showing this.
3 This was in an oncology meeting. This is the
4 Kaplan-Meier curve for overall survival. This is
5 the event-free survival, so it shows the event --
6 one event in each trial. And this is just one
7 graph in the figure showing T cell reconstitution.

8 So the patients came in on ADA enzyme,
9 and they only had T cell counts of about 2 to 300.
10 Those actually dropped a little bit after we
11 stopped the enzyme, but they came up over time,
12 over -- it took about two years for them to reach
13 their maximum T cell counts.

14 And we did extensive vector integration
15 analysis to make sure there weren't any clonal
16 expansions. This is just one figure chosen at
17 random. So the figure on the left represents the
18 top ten most frequent integrands, or the colored
19 bars. So they're all at, like, one percent or
20 less than the gray area, the other ones.

21 In this patient, there were 8,624 other
22 sites maps. So highly clonal engraftment with no

1 clonal dominance. And we saw this in all the
2 patients at all the time points we looked at.

3 And in fact, sort of -- part way through
4 this study, we internally did vector site
5 analysis. So on the bottom is from the gamma
6 retroviral vector, and you recognize the names of
7 many of the genes there. MECOM and LOM-2 are two
8 of the genes that have been involved in clonal
9 proliferation of other studies. And there's other
10 stem cell active genes in that list.

11 Whereas, the lenti is really a very
12 benign pattern. And the genes that are prominent,
13 we think are just ones that happen to be near the
14 nuclear core, you know, just by chance more likely
15 to get hit, but really no oncogenes are common
16 integration sites.

17 And so, right now we're doing the long
18 term follow up on this cohort of patients. And
19 so, the subjects are now 6 to 11 years out from
20 gene therapy. And the immunity has been
21 sustained, and there have been no product-related
22 adverse events.

1 There have been no subsequent events, so
2 all remain well without needing to go back on
3 enzymes or have an allotransplant, other than the
4 two early events. And the vector integration
5 study analysis, we looked at our most recent PBM
6 samples recently with this paper that we're
7 writing, and we didn't see any clonal expansions,
8 now out to six to eleven years.

9 And then, we've continued the
10 Kaplan-Meier curves. And again, there's no events
11 and all are still alive. And the vector copy
12 numbers, and the granulocytes, and the PBMCs are
13 stable. So it really looks at least for a decade
14 now, we can see the graph it looks quite stable.
15 We hope this will be, you know, lifelong.

16 So that's the good news. But there are
17 challenges taking things that worked so well from
18 an academic lab to commercialization. So along
19 the way, we applied for Orphan Drug Disease
20 designation breakthrough therapy rare pediatric
21 disease designation, all of which we received.

22 And so, in fact in 2016, we licensed it

1 from our two universities, University College of
2 London and UCLA, to Orchard Therapeutics. We
3 transferred the IND to them. They became the
4 sponsor.

5 And after working on it for about four
6 years trying to develop the CMC to a level
7 required for BLA, they decided to give up on the
8 project and return the license to the universities
9 in 2021.

10 So no patient received this treatment
11 after 2018. And during that time, we accumulated
12 a waiting list of 30 kids who were are on enzymes
13 sort of being temporized. We didn't have matched
14 sibling donors.

15 So in 2022, the IND and the remaining
16 serum, California to do for general medicine
17 funding, came back to us with a Type C meeting
18 with FDA in April to propose a new clinical trial.
19 And that opened up in early 2023 to treat
20 patients. And we made a couple of changes, the
21 changes which aren't always good, but we think
22 this was a good one.

1 So we switched from using bone marrow to
2 using immobilized peripheral blood. We had gained
3 much more experience with leuko freezing five kilo
4 babies from other trials, and we seemed like we
5 got more cells. And we added a transduction
6 enhancer to the small molecule Poloxamer, which
7 was marketed as lenti boost, which really
8 increases gene transfer.

9 And so, this is just a cartoon of this.
10 This whole series of molecules that have -- that
11 are sort of the antipathic, and there's this whole
12 family of them based on the length of the sides.
13 And the one in the upper left corner, F-108, is
14 the one that's used for this process.

15 And so since we reopened we've now
16 treated, as I've said, our seventh patient who's
17 being treated. So this shows, yellow is when we
18 manufactured the product, and green is when we
19 treated the patients. And we have one more to do
20 after that, and then the CIRM grant will be
21 depleted.

22 And so, just a little bit of data from

1 that trial. So on the left is showing the cell
2 doses the patients got. The 12 who got the
3 cryopreserved bone marrow in 2016 to '18. And now
4 the eight we've made products for using
5 immobilized peripheral blood.

6 So you can see, we're getting twice as
7 many cells per kilo per patient with immobilized
8 peripheral blood that's probably easier to undergo
9 leuko freeze within a bone marrow harvest. And
10 then, on the right is just showing the T cell
11 counts, and those that we have data on, and so
12 they're all reconstituting as we saw before.

13 And so, you know, I started out thinking
14 I was a scientist and learned some along the way.
15 I'm a drug developer. And so, we're trying to
16 move this to BLA to make this widely available.

17 And so, another rock. While I may not
18 have the answer, but I believe I've have a plan,
19 I'm not sure how good the plan is, but this is
20 what it is. So our plan was to open up the trial
21 in January of 2023, which we did, treat three
22 patients. We actually treated eight.

1 We've engaged with a CDMO to plan a
2 pathway to commercial manufacturing. We had a
3 meeting with FDA in November of 2023 to review --
4 well we requested a November -- we had the meeting
5 in January of this year to review our plans and
6 get input on it.

7 We then submitted a CIRM grant to fund
8 moving forward. The CIRM then put their grants on
9 hold for six months, so the grant was delayed six
10 months. It went in July. We don't know yet if
11 we're funded.

12 If we're not, that may bring this all to
13 a halt. If we are, the plan is to work with the
14 CDMO to develop commercial manufacturing of the
15 vector of the drug product, the analytics. We've
16 already developed a historic control data set from
17 the PITC patients who've had allotransplants for
18 ADA SCID.

19 And then, we would treat a small number
20 of patients with commercial product. And with
21 biomarkers, use that data, plus all the historical
22 data, for a BLA submission. And so, we're working

1 on a path to market authorization.

2 And one of the challenges is the quotes
3 that we've gotten from three or four different
4 CDMOs of what it would cost to take our
5 academic-based process that my four post-docs do
6 in a UCLA building on a shoestring to establish
7 commercial grade manufacturing for the vector in
8 the drug product of 25 to \$40 million dollars.

9 And so, we hope the CIRM grant will
10 cover about two-thirds of that. And so, we've
11 established a public benefit corporation to
12 license the IP contract with the CDMO, and
13 hopefully develop this into and market the
14 therapy.

15 And so, I'll just close by pointing out,
16 and this is sort of complement to the slide that
17 Dr. Marks showed, so these are 18 blood cell
18 diseases that have shown good evidence of clinical
19 efficacy in at least in academic trials, and five
20 are these are approved. The ADA is a
21 gammaretroviral vector stream zealous, approved in
22 the EU. The other four are licensed products in

1 the U.S.

2 And so, we have many products that we
3 have good clinical evidence for. And how we go
4 from proof of principle in academic trials to a
5 licensed product is a real challenge as I talked
6 about.

7 And so far the safety record has been
8 quite good for these. The one exception is
9 myelodysplastic syndrome developed late in the
10 trial, while although it's a lentiviral vector,
11 the promoter driving the gene was a
12 gammaretroviral LTR that probably caused
13 genotoxicity. And we're working on alpha fallacy
14 notes which we think will be another very good
15 indication.

16 So then, just to summarize the novel
17 cell in gene therapy has been developed to treat
18 severe pediatric disorders, such as the genetic
19 diseases, as I've talked about, are cancer and
20 leukemia. Every pediatric cancer is a rare
21 disease.

22 There therapies have led to major

1 improvements in patient well-being, notably for
2 otherwise fatal or severe pediatric disorders.
3 These complex cell and gene therapies have been
4 provided safely and effectively at academic
5 medical centers under researched clinical trials.

6 However only a small number have reached
7 licensure in the U.S., E.U, and U.K, due to more
8 financial considerations than impeccable
9 feasibility, and converting academic-based proof
10 of principal manufacturing approaches to
11 commercial-grade manufacturing is very expensive.
12 And so, we need new models to produce and provide
13 these therapies for rare diseases to make them
14 available to patients who will benefit.

15 And then, last comment is there's a lot
16 of work now in in vivo delivery where you wouldn't
17 have to take the cells out to condition of the
18 patients, but just deliver the genes to the stem
19 cells and see too.

20 It's not variant, but when it is that
21 will disrupt all of what I do, and we won't need
22 bone marrow transplant doctors to -- ideally it'll

1 just be the injection to hit the stem cells.

2 And so, then I'll just thank my group
3 that does all the work that I've shown you. This
4 is our clinical team. These are our many
5 collaborators, and these are our source of
6 funding. Thank you.

7 DR. MAZOR: Thank you very much. Now
8 the audience can feel free to stand behind the
9 microphone to ask questions. And while they're
10 coming, I can ask the first question.

11 So you've shown really an amazing path
12 from the initial design of a molecule to clinical
13 trials and possibly a BLA. Other than the funding
14 that you've mentioned, what would be the other
15 major pain point that you would point out, or the
16 ones that took the longest?

17 DR. KOHN: Well I think, you know, it's
18 just what each step requires -- so I have a slide
19 that I didn't include that shows, you know, to get
20 to the initial trial, we had three or four grant
21 applications that we went through. And I tracked,
22 and I went through a pre-ID meeting.

1 So it takes a long time. And again, on
2 an academic, we are running on RO-1 kind of
3 budgets. It is very hard to, A, to do that work,
4 and it's hard to get funded for the things you
5 need to extrapolate to a clinical trial. You
6 know, do three large scale replications that's not
7 a hypothesis. It's going to make a study section
8 very convinced that, you know, they should fund
9 it.

10 And so, I think -- I'm fortunate to be
11 in California, to have CIRM. But I think that's a
12 unique source. And to get funding to move these,
13 to click, even phase one trials it is very
14 challenging.

15 MS. LUCAS: Thank you for the excellent
16 talk. Tiffany Lucas from CBER Gene Therapy. I'd
17 like to hear your thoughts on long-term follow up
18 for patients based on your experience.

19 Obviously we don't have the same data
20 for the lentiviral vectors as we do for the
21 gammaretroviral vectors. So as a clinician, you
22 know, you showed some wonderful data with various

1 cell populations and BCN tracking over time,
2 insertional site analysis.

3 But what advice would you like to share
4 with us in terms of long-term follow up for safety
5 and efficacy of these lentiviral vector generated
6 C-34 products?

7 DR. KOHN: Yes excellent question. You
8 know, it's -- if it was an unfunded mandate. So
9 you can't get a grant to follow long term. We
10 have enough programmatic money that we can do it,
11 you know.

12 Even again for an academic program,
13 we're now following 60, 70 patients from our
14 different trials on long-term follow up. So a
15 researcher who probably spends about half her time
16 coordinating with the -- you know, so we -- so
17 most of our long-term follow up, it's done at
18 their home doctors.

19 And so, we send them a form to fill out,
20 so we capture the information we need. We send
21 them Fed-Exed to mailers with the tubes in them,
22 so they can fill them, send them to where they

1 need to go, to a lab for ADA, out-phased to us to
2 VCN.

3 So it's a moderate amount of work to do.
4 I think it is worthwhile. I think, you know, we
5 don't, as you said, we don't have a lot of
6 long-term data. That's where we hope a journal
7 will be interested in our long-term follow up
8 paper.

9 Because, you know, we need to know what
10 the results are, and are our engraftment levels
11 persisting or are they falling off? Are there
12 late effects? And so, I guess finding a way to
13 fund it would be, I think, very helpful to many
14 groups.

15 But in terms of the amount of
16 information it's raised, it seems reasonable. So
17 basically we will have a clinical note from the
18 home physician who saw the patient in exam. We
19 have a method of what meds there are. I need a
20 count of the meds and adverse events. And so, I
21 think we're able to keep a reasonably good track
22 of them.

1 The other thing though is as we get
2 further out, more and more patients are being lost
3 to follow up. They just -- they're fine, and they
4 don't want to go see the doctor.

5 MS. LUCAS: And along the lines of the
6 burden of peripheral blood versus bone marrow
7 sampling, any thoughts there on patient burden,
8 and the initial value of the data?

9 DR. KOHN: Yes so we almost never do
10 bone marrow samples. You know, they are pediatric
11 patients, so it's -- you have sedation typically.
12 It's a big deal. So I think you can follow
13 everything from blood.

14 All of our protocols, if there's
15 anything abnormal in the blood at the vector
16 integration site, or vector copy numbers going up
17 or something, that then we would do a bone marrow.
18 But we almost never do bone marrow. So it's all
19 done in peripheral blood.

20 DR. MAZOR: Okay. Last question.

21 DR. KOHN: Yes?

22 SPEAKER 1: Thank you very much. This

1 is a very inspiring work that you've done. So I
2 have a little bit -- tiny bit of a question for
3 the content of lentiviral vector. I'm asking you
4 about the work with the lentiviral vector.

5 On a CMV, and on a promoter and EFS, I
6 think in my experience, EFS tend to have more
7 aggregation, and a higher viral vector to either
8 in the production. If it's okay, do you see any
9 aggregation on the quality of lentiviral vector?

10 DR. KOHN: Well so the vector plasmas
11 that we use for lenti are the original ones from
12 Naldini, et cetera, from the saw. So they have a
13 CMV promoter driving vector transcription during
14 packaging.

15 But the internal promoter that we use
16 various ones, CMV it turns out is not a very good
17 promoter for hematopoietic cells. So we rarely
18 use that as our internal promoter to drive the
19 trans gene.

20 But we spent a lot of time studying
21 lentis. We can talk about afterwards. You know,
22 the main issue for lentiviral vectors is after

1 they get longer, their tightness goes down, and
2 their transduction efficiency goes down.

3 SPEAKER 1: Thank you, but I just have
4 one comment about that. I think in the future in
5 vivo, I think there's a very good charity and we
6 would have work in country with the CDC we are
7 getting. And I think we can design to be 34 for
8 research, I think it would be part of the cost
9 saving.

10 DR. KOHN: And I think transportable
11 around the world hopefully.

12 SPEAKER 1: Thank you.

13 DR. KOHN: Yes.

14 SPEAKER: I'm from Pennsylvania
15 Department of Health. Thank you so much for very
16 much working and the information presented. I'm
17 wondering like when you have to do a second
18 therapy or if some patient is a lady with a
19 lentiviral therapy, do you subject any issue with
20 the preexisting immunity?

21 DR. KOHN: That's a good question. You
22 know, I think there -- first of all, I don't know

1 how much this has been studied, and what kind of
2 immune response the patients get to the cells. So
3 they're transduced ex-vivo, and washed, and given
4 back.

5 And so -- and the vectors don't express
6 any viral proteins. So it's just whatever was in
7 the viral particle that might still be associated
8 with the cell to induce an immune response.

9 But we've never really looked at
10 re-administration, and I don't know if people even
11 measured antibodies to dsVg or HAV-GAD in
12 recipients of gene therapy to know if there's a
13 problem.

14 But I don't know if any indications if I
15 repealed and looked at regiving them, you know --
16 looked for -- liked like it's stable so you
17 wouldn't need to retreat for the primary
18 indication.

19 SPEAKER 1: Yes. My other question is
20 not related to the SCID, but in your slides you
21 showed that it is -- it will lead to department
22 deoxy noting and part of the uric acid. And as we

1 all know, it can release enough uric acid that
2 leads to the disease gout.

3 DR. KOHN: Right.

4 SPEAKER 1: So do you see such symptoms
5 also? And when you replace the adenoids by gene
6 therapy, do you see any, like, indicative of the
7 development of uric acid too?

8 DR. KOHN: No we haven't. You see, I
9 think, it's sort of putting the pathway back in
10 balance.

11 SPEAKER 1: Yes.

12 DR. KOHN: So we haven't seen imbalance
13 and overproduction of uric acid, and none of the
14 patients exalt gout of the follow up time.

15 SPEAKER 1: Thank you.

16 DR. KOHN: Okay.

17 DR. ZHOVMER: Our next two speakers come
18 from FDA, and our first talk will be given by Dr.
19 Ronit Mazor. She's a Principal Investigator in
20 the Office of Gene Therapy and Gene Therapy
21 Products from FDA. She completed her PhD in
22 immunology in University of Tel-Aviv in Israel and

1 doctorate training in National Health Institute in
2 Bethesda.

3 Before joining the FDA, she worked as a
4 senior scientist in MedImmune AstraZeneca in their
5 antibody discovery and project engineering. She
6 was focusing on prediction and mitigation of
7 immunogenicity of interpretive proteins. And at
8 the FDA, all her laboratory studies went to
9 interaction between an immune system and viral
10 vectors used for gene therapy.

11 Our second speaker, and I'm going to
12 list the second speaker at the same time. It's
13 Dr. Zuben Sauna, and he's a Principal Investigator
14 and Director in the Division of Hemostasis and
15 Office of Therapeutic Products at FDA.

16 He received his PhD from Kumaun
17 University in India and training also in the
18 National Health Institute. Doctorate of studies
19 of pharmacogenetic phases of immune response to
20 proteins used in therapeutic interventions,
21 including new modalities such as gene editing.

22 He loves to use the combination of

1 computational in vivo and ex-vivo approaches to
2 investigate why some individuals or some
3 populations have response to therapy, and why
4 others do not.

5 And after this to talk through at the
6 session, though you can ask your questions. Thank
7 you.

8 DR. MAZOR: Okay so thank you, Alex, for
9 the introduction. And thank you everyone for
10 coming in person, and for those calling in online.
11 I'm very excited to share the work we've been
12 doing in the past few years in my lab in the
13 Office of Gene Therapy.

14 So here on the left is our sixth year
15 group, or at least most of the members of our
16 group, and our group really focuses on
17 investigating immunogenicity aspects of gene
18 therapy.

19 We use methods in silico, in vitro, also
20 ex-vivo where we collect TBMC samples in vivo to
21 look at different models and different AAV
22 serotypes with different trans genes, which are

1 either models or actual relevant clinical models.

2 So the definition by the FDA for gene
3 therapy are all products that mediate their effect
4 by transcription or translation of transfer
5 genetic materials, or by specifically authoring
6 the host genetic sequence.

7 On the left, we have an example of an
8 ex-vivo where we extract stem cells from the
9 progenitor cells, alter them ex-vivo, and then
10 introduce the modified cells back to the patient.

11 In vivo gene therapy, which is what we
12 focus on, we do direct delivery of patients to the
13 patients using viral vectors. And one of the most
14 common viral vectors that we see in many of the
15 submissions is AAV, adeno-associated virus.

16 On the right is the development through
17 the years where the first AAV submission was
18 submitted to the FDA in 1995. And since then,
19 it's been a very interesting and bumpy road. We
20 now have six approved AAV products from various
21 serotypes, and hopefully many more to go.

22 So based on this advanced regulatory

1 load, you can imagine that the FDA had good
2 interest and better understanding the efficacy and
3 safety of this novel modality.

4 So a little bit about AAV. Novartis AAV
5 is single strand DNA power virus. It's
6 non-prosomic in nature, and it requires a helper
7 virus for replication, such as adenovirus or
8 herpes simplex virus.

9 The capsid is complete with 60-sub units
10 that come together to form the capsid. And the
11 AAV genome shown on the right includes a rip in
12 the cap gene that are captured by ITRs. For gene
13 therapy vectors, the rip in the cap gene are
14 replaced with a gene of choice. So for AAV
15 vectors in gene therapy, they are very popular and
16 sought by investigators because they are
17 relatively simple to manipulate.

18 They have a pessimal expression in the
19 host cell nucleus which prevents or reduces the
20 risk for insertion with the genesis. And they
21 have the potential to persist in non-dividing
22 cells for a long time, which can allow for

1 systemic expression.

2 Due to the viral origin on the AAV, it
3 has a lot of immunological challenges that we
4 encounter. So I broke them here to pre, during,
5 and post-treatment. Before therapy, we see
6 pre-existing antibodies that usually occurs due to
7 natural exposure to a natural virus.

8 Between 30 to 85 percent of the
9 population has pre-existing antibodies to one
10 serotype or another. And that varies a lot
11 depending on the test you use, or the serotype.

12 The zero positivity is highly impacted
13 by geographic impacts, also by age and sex. And
14 these antibodies cause neutralization. So
15 essentially at this high titer, they can
16 completely omit the therapeutic effect.

17 It can also cause accelerated clearance.
18 And in many clinical trials, it results in patient
19 exclusion. In clinical trial where they do not
20 exclude patients that have pre-existing
21 antibodies, that can cause a dose increase which
22 then patients that did not have neutralizing

1 antibodies can experience toxicities.

2 Considering during the day of treatment,
3 we have concerns for immediacy immuno-related
4 responses, such as the innate immune activation,
5 risk for infusion-related reactions, and
6 complement activation.

7 After therapy, we have activation of the
8 adaptive immune system. That includes formation
9 of neutralizing antibodies. 100 percent of
10 systemic delivered AAV will result in formation of
11 high titers of AAV. When we delivery it directly
12 to an immune -- to some immune privileged organs,
13 we may see lower levels of immunogenicity.

14 We have formation of cytotoxic T cells,
15 and those T cells target either the AAV or the
16 trans gene. And that results in decreased or loss
17 of efficacy, and can also result in liver
18 toxicities. It also has ganglia toxicity and
19 immunogenicity for the trans gene itself.

20 And the factors that contribute to
21 immunogenicity can be broken through the patient,
22 the product, and the treatment mode. We have

1 prior exposure to other gene therapy or even a
2 patient that was treated.

3 The immune state, the patients that are
4 immunocompromised would have a lower probability
5 to have immunogenicity. The genetic background is
6 the patient's HLA, and if they've seen the HEL4
7 and the trans gene is, the CRE negative status,
8 the sex and the age, and other medications that
9 they're receiving at the same time in therapy.

10 For product, we have the ethical
11 content. So in the AAV, we look at either B cell
12 or T cell epitopes on the capsid. The aggregates
13 that have a very strong effect on activation of
14 the innate immune system, post translation
15 modifications such as deamidation. We've had some
16 studies that showed that deamidation can increase
17 the immunogenicity in some patients, and decrease
18 it in others.

19 The CpG content -- because remember this
20 is actually inside. You have a DNA strand, and
21 the content of MC capsid which can increase the
22 antigenic clone. Treatment mode can also have an

1 effect on immunogenicity, the frequency, the dose,
2 the duration, and the route of administration.

3 If we can deliver it, the AAV, to --
4 with catheters directly to the target, sometimes
5 that can prevent the initial neutralization,
6 tropism of the AAV. And if we do combination
7 therapy, that can suppress the immuno response.

8 So now, I want to share a recent project
9 that we did in the lab, led by a staff fellow, So
10 Jin Bing. She's a staff fellow and a reviewer for
11 CMC for AAV products where we actually designed a
12 next-generation AAV that has its T cell epitope --
13 one of its major T cell epitopes removed.

14 So the first step would be to identify
15 where the T cell epitopes are in the AAV? To do
16 that, we developed a simultaneous epitope mapping
17 for both helper and cytotoxic T cells. We
18 simulated PBMCs from 52 donors, with a heated AAV
19 that is empty. It does not have any trans genes,
20 so we can focus on the immuno response to the AAV.

21 We expand the cells in vitro with
22 cytokines that are meant to help expand both

1 helper and cytotoxic T cells. So the CD IL-2 is
2 meant for CD IL-4, and the IL-7 and IL-15 are
3 meant for the cytotoxic T cells. And then, we --
4 after 14 days of expansion, we restimulated the
5 cells with peptide libraries, spanning the entire
6 sequence of AAV.

7 Now in a perfect world, we would test
8 each one of those peptides separately, but it's
9 extremely laborious. So we pool them into pools
10 of 12. And when we get a positive pool, we then
11 deconvolute it into the individual peptides.

12 To look at the immune response, we use
13 ELISpot with interfering gamma in IL-2, as well as
14 intercell with cytokine staining, so we can also
15 characterize the T cells that are responding.

16 This is a representative response. This
17 donor had a very strong response in Pool 9. And
18 Pool 9 is composed of Peptides 97 through 108, and
19 you can see that it was Peptide 103 and 104 that
20 contributed to the response of this donor.

21 So using this method, we expanded cells
22 from 52 donors, and we got the map that you see on

1 the left. The epitope in Pool 9 was the
2 top-ranked one. And the deconvolution shown on
3 the right shows that it was really Peptide 103
4 through 105 that were responsive in all of the
5 donors that responded. And this epitope was
6 present in 23 percent of the donors that we looked
7 at. We further characterized the epitope looking
8 at which T cells they activate. You can see here
9 on the flow that it is, once again on CD4. This
10 is a CD4 helper T cell response.

11 And we also depleted the CD4 or the CD8.
12 And you can see on the bottom right that the
13 response is abrogated once we deplete the CD4. So
14 this is an MAC2 CD4 epitope.

15 Further characterization asked which HLA
16 molecule is presenting. So we have three major
17 presentation molecules for Class 2, DR, DP, and
18 DQ. So we use antibody inhibition assays that
19 would interfere with the presentation with either
20 the DR, the DP, and DQ, and we found that this
21 epitope is almost exclusively presented by the DP
22 presentation molecule.

1 Then we went back and looked at all the
2 positive donors that had a response to this
3 epitope, and looked at their DP alleles, and we
4 found that it was diverse. There was no one
5 allele that responded, which indicates this is a
6 promiscuous epitope.

7 Identification of a promiscuous epitope
8 is advantageous in the fact. First it means if we
9 eliminate this epitope, we'll be able to sell it
10 to a diverse population, not just people with this
11 specific HLA.

12 But also in the literature, there's a
13 correlation between the strength and the
14 importance of an epitope, and the promiscuity.
15 The more immuno dominant the epitope is, the more
16 promiscuous it is. So this sits with confirming
17 that this is a strong and important epitope.

18 So now, there's one slide that I would
19 like you guys to walk home with. It's this slide,
20 which includes the engineering that we've done.
21 So the next step once we've identified the
22 epitope, we wanted to look, is this epitope highly

1 conserving other AAV?

2 So there are several, 13 natural AAVs,
3 and many ones that are introduced, that are
4 developed in the lab. And we looked at this
5 region, and looked at homology to the other AAVs
6 on the left.

7 We found that this epitope is highly
8 conserved across the 13 natural AAVs, which means
9 that if we can solve this epitope for AAV-9, we
10 will probably be able to solve it to a lot of
11 other AAVs.

12 Interestingly, AAV-5 which we can see
13 because this pointer doesn't work very well for
14 me. But if you look at -- if you focus on AAV-5,
15 AAV-5 was not conserved. So there are five amino
16 acids that are different between AAV-9 and AAV-5.
17 And we thought that if AAV-5 does not include this
18 epitope, maybe this is the way to solve this
19 epitope and reduce the immunogenicity.

20 So in the middle, we have in silico
21 prediction where we compared the HLA binding
22 possibility of either the wall type epitope in

1 AAV-9, or on the right AAV-5. And indeed, we
2 found that AAV-5 is predicted to have a much
3 weaker HLA presentation for this epitope.

4 So then, we made -- synthesized the
5 peptides that include the peptides from AAV-5, and
6 compared them to the ones from AAV-9 shown on the
7 right. And as you can see here on the bottom,
8 AAV-5 does not have this epitope. So these five
9 amino acids solved the epitope.

10 So we were very excited about that, and
11 we went back to the molecular biology side of the
12 lab and started making new AAVs that have either
13 the five-point mutations that would essentially
14 introduce the chimera from the AAV-5, or just
15 two-point mutations that were predicted in the
16 algorithm to eliminate the epitope by themselves.
17 We designed both AAV with GFP fluorescent, GSB
18 fluorescent so we can characterize it in different
19 methods.

20 On the right, we have what we like to
21 call the CMC characterization. We wanted to
22 confirm that the introduction of the two or the

1 five-point mutations did not increase -- did not
2 change or compromise the yield of the production
3 of the AAV, the size of the particle, the thermal
4 stability, and the percent of MC capsid. And
5 indeed, we found that mutation resulted in highly
6 comparable AAV vectors.

7 Then we went onto to characterize this
8 activity in vivo. Here we have three different
9 cell lines. And in black is the wild-type AAV,
10 and the gold is for the pink and the blue to not
11 be different or better activity.

12 And indeed, we found, and as you can
13 also see it in the GSP illustration microscope
14 images, that the mutations did not compromise the
15 in vitro activity of the AAV.

16 Okay this is the second model where we
17 used the nano luciferase. And again, the
18 activity, the in vitro activity was highly
19 comparable. But you see those big things in gray
20 in these preps AAV-5 was -- had very good
21 performance. But if we still compare the
22 wild-type AAV-9 with the two mutant activities, it

1 is very comparable.

2 And then, we went on to characterize the
3 biodistribution and transduction activity in mice.
4 So the mice were treated with either the wild-type
5 or the two mutants. And we also had AAV-5 as the
6 control.

7 And we found that looking at the NanoLuc
8 admission, we have very similar transduction
9 activity because the entire mouse is shining. We
10 can't really see the biodistribution here, so that
11 will fall in the next slide.

12 But if you look at the quantification of
13 the signal, we have very similar transduction
14 activity. So these two-point mutations or
15 five-point mutations do not compromise the
16 activity with in vivo.

17 Now in order to validate the
18 biodistribution, the mice -- before we sacrificed
19 them, we injected them with a nano -- with a
20 substrate, the luciferase. And then, isolated the
21 organ, the brain deliverer along the muscles.

22 And on the right, you can see the

1 quantification of those organs with very similar
2 biodistribution. We further harvested these
3 organs, isolated the DNA, and looked at the
4 AAV-derived DNA and to further characterize the
5 biodistribution.

6 And we found that at least for AAV-B1,
7 so the two-point mutation we had extremely
8 similar biodistribution. So those five-point
9 mutations -- those two-point mutations did not
10 change the biodistribution.

11 So the goal of the mutations was to
12 affect the immunogenicity. So we did a few
13 immunogenicity confirmations. The first was just
14 to make sure that those two -- those few amino
15 acid changes did not create a new B cell epitope.

16 So this is an antigenicity acid where we
17 take human serum from I think it's 50 different
18 donors where it's pooled, and we validate the
19 antigenicity, the ability of that serum to
20 neutralize our mutant AAV.

21 So while that's in the slide, you can
22 see that we did not see any major changes in

1 antigenicity. If anything, it was a little bit
2 reduced, which is not surprising because we did
3 change it a little bit. But we did not create a
4 new B cell epitope.

5 So when the final confirmation that the
6 epitope is indeed gone once we make it in the
7 whole constellation of the AAV, these are the
8 specific peptides -- this is the -- these are the
9 peptides that contain the epitope.

10 You can see on the left that for
11 wild-type ones, we expected the cells from
12 wild-type AAV, we get an immune response, but we
13 do not get that immune response as a result of the
14 activation with AAV-5, or the two mutants.

15 And we also characterize -- we expanded
16 the cells with the entire -- with the AAV, and
17 then restimulated with an entire peptide library
18 spanning the sequence of AAV. And we did not see
19 any raising up of cryptic epitopes or new epitopes
20 that may come up as a result of the mutation, so
21 we were very excited about that.

22 And to summarize what I've shown you,

1 we've identified a novel and promiscuous
2 immuno-dominant T cell epitope in a viral capsid
3 protein AAV-9, that can be eliminated through a
4 rational-designed chimerism without compromising
5 the function or potency.

6 Such designs can result in safer and
7 more efficacious gene therapy by reducing the T
8 cell mediated toxicities, and by preventing T cell
9 mediated deaths of transduced cells. Potentially
10 this can result in longer persistence of
11 transgenic expression.

12 And similar rationale, immuno silencing
13 could be applied to other AAV vectors, and also
14 other therapeutic proteins. And I just want to
15 say -- to acknowledge my lab members and our
16 collaborators. None of this work can happen
17 without.

18 And So Jin Bing is bolded because this
19 is the staff fellow that did most of the work.
20 And thank you for your attention. And at the end
21 of Zuben's talk, I'll be happy to take questions.

22 DR. ZHOVMER: Thank you, Dr. Mazor. Dr.

1 Sauna?

2 DR. SAUNA: Good morning everyone, and
3 thank you Ronit for giving the introduction sort
4 of to my talk as well. So my fundamental interest
5 in my lab for over a decade has been to understand
6 the immune responses to therapeutic proteins.

7 And what I'm going to talk to you today
8 is in the context of novel modalities, and try and
9 make a distinction between what we find in the
10 therapeutic proteins that are purified proteins
11 that we inject into individuals, and when we get
12 the same proteins by gene therapy or gene editing.

13 So this audience doesn't really need to
14 get the importance of novel modalities. It's just
15 being gene therapies, cell therapies, and
16 increasingly CRISPR cast-based genes, which hold
17 immense promise in treating, you know, previously
18 almost untrackable diseases.

19 Now understanding immune response to
20 these modalities may be pivotal for improving the
21 safety and efficacy of these therapeutic proteins
22 of these therapies. Pre-existing and induced

1 immuno responses are a key concern during the
2 Deblar Pentar (phonetic) regulation in almost any
3 biologic that the FDA regulates.

4 So before I get into novel modalities,
5 such as gene therapies, let me give you a little
6 bit of background and context in terms of this
7 unwanted immunogenicity that we have in proteins,
8 that we use them in therapeutic applications.

9 And here I have tried to summarize sort
10 of the techniques and technologies that I used for
11 trying to predict or determine if a particular
12 modality is going to have an immune response. And
13 I very loosely ranked them in terms of decreasing
14 through birth, and increasing cost and complexity.

15 So the simplest thing you can do with
16 the therapeutic modalities is do it in silico
17 analysis. And there are very good algorithms
18 available now. But what most of them do is
19 essentially they don't really tell you about, you
20 know, little immunogenicity.

21 What they do predict and predict very
22 effectively is whether a particular peptide will

1 bind a particular image, and with what affinity.
2 You can do the same kind of thing in an actual
3 assay, and increasingly use these in silico tools
4 that are so powerful that, you know, that there's
5 very little difference between the results that
6 you will get between measuring these in vivo, in
7 vitro.

8 You can also use human blood derived
9 cell-based assays such as dendritic cell-based
10 assays or T cell effector assays to look at
11 cytokines that are produced by T cells and get a
12 sense of whether your protein or peptide is
13 actually illicit -- is likely to illicit an immune
14 response in the sense, do they activate some T
15 cells?

16 You can do more advanced assays like MHC
17 tetramer guided epitope mapping for -- to map T
18 cell epitopes. And there's an assay called the
19 MHC associated peptide proteomics assay, which is
20 a very powerful assay that we are increasingly
21 using which actually gives you a sense of about
22 antigen processing and presentation, which can

1 actually allow you to identify naturally presented
2 and processed peptides on the MHCs of cells
3 obtained from donors.

4 And you can do other, you know, more
5 advanced assays like protein-specific T cell
6 application. And if you want to get an idea about
7 the in vivo effect of these proteins or peptides,
8 you can use HLA transgenic mice, which are you
9 know, very expensive and very complex to do.

10 So before I -- about a decade ago, many
11 of these assays were not that routinely used
12 during drug development. And the reason for that
13 was that the clinical utility of these assays was
14 poorly understood.

15 And then, there came this particular
16 story that we worked with the company, Novo
17 Nordisk, that you know, got into this problem with
18 the particular protein. So Factor 7A has been
19 used as a bypass therapy for people who have
20 antibodies to Factor 8, and cannot be treated with
21 Factor 8 for hemophilia patients.

22 And for over two decades, there were no

1 reports of anti-factor 7A antibodies in hemophilia
2 patients. Novo Nordisk made three mutations in
3 Factor 7A, and they went into clinical trials
4 without green clinical studies such as using the
5 assays, such as the ones I've described
6 previously.

7 And with just three mutations, they
8 ended up with an incident of immunogenicity of 11
9 percent. The drug was removed from development,
10 and we worked with Novo Nordisk to try and
11 understand whether in the real world these assays
12 that we have been talking about would have some
13 utility.

14 And you know, this poses a series of
15 questions. Do mutant peptides that they generated
16 bind and actually attach to molecules with high
17 affinity in both in silico and in vitro. We
18 showed that they could.

19 Mutant peptides presented in the super
20 MAPPs assay, and the answer was yes. Do mutant
21 peptides that bind with high affinity illicit a T
22 cell response? And the answer was also yes.

1 Most importantly, is there a clinical
2 importance to this? And the answer is a
3 resounding yes because antidrug antibody positive
4 patients do carry HLA Class 2 molecules that bind
5 to mutant peptides with high affinity.

6 And with this introduction and the
7 learnings that we've got with therapeutic
8 proteins, let me switch to key differences that
9 you observe when you're using therapeutic proteins
10 versus novel modalities. And let me start off
11 with, you know, talking about therapeutic proteins
12 where we got most of our learnings from.

13 So here we're talking about CD4 T cells,
14 which are MHC Class II restricted, and
15 preprogrammed for helper functions such as
16 activation of B cells to secrete antibodies.

17 Antigens ingested in, like I said, the
18 protein of the antigen into endocytic compartments
19 of macrophages dendritic cells or B cells are
20 presented to CD4 positive T cells as peptides are
21 drawn to MHC Class 2 molecules. And therapeutic
22 proteins are almost always extra cellular and

1 immune responses are driven by the MHC Class II
2 CD4 responses.

3 In terms of bio analytics where we have,
4 you know, decades and decades of experience here,
5 me as well as products in CBER, bio analytics for
6 assessing the immune responses to protein therapy
7 is largely focused on accurate determination of
8 antidrug antibodies, and determining whether these
9 antibodies are neutralizing.

10 Now let's move to another kind of immune
11 response you can get which is CD8 T cells, which
12 are MHC Class I restricted, and preprogrammed for
13 cytotoxic function directly killing target cells.

14 Now endogenous synthesized antigens and
15 the cytosol of all cells are presented to deviate
16 T cells as peptides bond to MCH Class 1 molecules.
17 Novel modalities illicit diverse immune responses
18 based on the root of administration, delivery
19 systems, et cetera.

20 So there is a much more complicated
21 scenario in terms of these novel modalities
22 compared to, you know, a simple -- a type, but

1 it's not really simple. But what we've been using
2 to make it simple, the therapeutic protein.

3 And bio analytics was assessing
4 responses to novel modalities cannot rely solely
5 on the identification and characterization of
6 antidrug antibodies, and these assays must be fit
7 for purpose and carefully designed for every
8 application.

9 So let's look at, you know, the Cas
10 protein used in CRISPR CAS as a model system. So
11 for in vivo clinical applications of CRISPR-Cas,
12 immunogenicity would be a key concern. Cas
13 proteins are of material origin. Many of them are
14 human pathogens, so high emergency risk is
15 expected for these particular proteins, even for
16 the FDA guidance.

17 Now pre-existing antibodies to Cas-9 and
18 pre-existing T and B cell responses to Cas-9 have
19 been reported, but also in others. Also genome
20 emitting in mouse livers was accompanied by an
21 increase in CD8 plus T cells, cytotoxic T cell
22 response hepatocyte in both doses, and complete

1 elimination of genodermatotic cells.

2 A patient in a CRISPR-mediated disrupt
3 and restoration was demonstrated in a canine DMD
4 model. However Cas-9 specific immune responses
5 put a critical barrier of a successful AAV CRISPR
6 therapy. Serum Cas-9 antibody and PBMC at least
7 spot-confirmed Cas-9 specific responses in two
8 dogs were treated in this manner.

9 So as far as Cas-9 is concerned, you
10 could deliver it as an mRNA, and the Cas-9 is made
11 increasingly presented to Class 1, and you get a
12 CD8 immuno response. You could also give Cas-9 as
13 an RMP particle, but it would be presented in an
14 extraneous protein, and engage with an MHC Class
15 II, and illicit a CD4 based response. So either
16 scenario is possible given the current state of
17 the art and how we, you know, use Cas proteins.

18 So now to try and understand, you know,
19 as much as an erroneous explanation for AAV, what
20 are the epitopes and how do you use sort of phase
21 out the immune response of Cas proteins?

22 And the first question is the

1 non-trivial task of selecting a cohort of donors
2 for ex vivo assays. So presentation of peptides
3 direct from the protein by the major
4 histocompatibility complex, MHC, is a necessary
5 but not sufficient condition for eliciting an
6 immune response.

7 Now the MHC is the big elephant in the
8 room. The MHC is polygenic. Every individual
9 contains several MHC genes. It is also
10 polymorphic. The population has variance of each
11 gene, and the MHC genes are the most polymorphic
12 in the human genome.

13 So getting a cohort of donors that is
14 representative of your population of interests is
15 itself a challenging task. And here we -- you
16 know, I describe a tool that we've developed, and
17 I'm just showing the illustration of this tool,
18 you know, where this orange bar is 10 million
19 randomly picked cohorts of 50 donors each.

20 And on the x axis, we show a statistical
21 measure of the Jensen-Shannon distance score. And
22 this is an arbitrary measure, and the lower the

1 score, the closer it is to your -- whatever entity
2 you're comparing it to.

3 And here we are comparing our pool to
4 the distribution of HLA alleles in our pool versus
5 that in the general population that you're
6 interested in. And the green lines show that you
7 -- very powerfully decrease the Shannon distance
8 score when you use this algorithm to basically
9 select your donors of interest.

10 Then we wanted to use the score to
11 donor, and look within this diversity of HLA
12 alleles. What is the presentation of Cas proteins
13 using this MAPPs assay? That is which you
14 directly measure the peptides that were presented
15 when you, you know, give the protein.

16 And on the chart that shows you the flow
17 chart is basically a MAPPs assay for Class II
18 where you feed the protein of interest and
19 antigen-presenting cells, and then pull out your
20 MHC associated with the peptide, and see whether
21 the peptides are presented or not.

22 And this graph -- this picture basically

1 shows you the basic power of the MAPPs assay. So
2 you have, you know, the dotted line essentially
3 showing you the percentile rank in terms of
4 binding affinity of every possible peptide in the
5 human proteome, a million randomly generated
6 peptides or peptides in very large protein-like
7 factored into one milligram factors.

8 And the gray area shows you the binding
9 affinity of the ones that -- the peptides that
10 were identified in the assay. And you see that
11 it's skewed very strongly to the left showing that
12 you always pick up tight binding modalities.

13 However the opposite is not true. In
14 the bottom graph, you show -- you see in gray all
15 the tight binding peptides that you find in a
16 protein factor. Whereas, the colored bar shows
17 the ones that are actually identified in a MAPPs
18 assay.

19 So this is, you know, one of the reasons
20 you want to use a more sophisticated assay rather
21 than just a in silico binding assay. And here is,
22 you know, in our donor, the Y axis has each donor.

1 And each of these little squiggles shows a peptide
2 that was identified using this MAPPs assay.

3 And now, we -- if you want to get a
4 sense of what are the biological meaningful
5 epitopes, what we have here are, you know,
6 overlapping peptides covering the entire MAPPs
7 peptide, the entire Cas protein. And then, these
8 were used in a T cell assay which this is using
9 flowcytometry using three different cytokines.

10 And this is the graph. This was the
11 graph that is of interest because here what we
12 defined as biologically meaningful peptides are
13 peptides identified by the MAPPs assay, and are
14 also capable of eliciting a T cell response in a T
15 cell-based assay.

16 So you -- you know, from this very large
17 protein, this is the peptides that are relevant in
18 accord of donors which is relative -- which is
19 comparable to our population of interest in this
20 case because when we regulate drugs in the U.S.,
21 this is based on a non-nematic population.

22 Now to -- this is all about Class II,

1 which is again based on our learning from, like I
2 said, protein therapies. But Class II is not the
3 only thing of interest. Like I mentioned
4 previously, efficient genome editing can occur
5 even in the presence of assay Cas-9 immunity.

6 However genome editing can be
7 accompanied by an increase CD8 T cells, and a
8 cytotoxic T cell response. So how do you handle
9 this kind of a problem if your route of
10 administration is likely to illicit a Class I
11 based response?

12 And this is more recent work where we
13 have tried to -- so there are very few official
14 assays that you can use to identify MHC Class I
15 proteins. And here we have actually used cell
16 lines which model allelic cell lines in the sense
17 that each cell line has only one MHC Class I
18 allele because these were a gift from Derin Keskin
19 at Harvard, and the citation is given here.

20 So we take these cells. We do a
21 lentiviral transduction of our protein of interest
22 in this plate, Cas-9. And we essentially sort

1 these cells repeatedly until we see expression of
2 the protein of interest intracellularly. And
3 then, we go and do the same kind of, you know,
4 pull down and MAPPs analysis of these peptides.

5 And here you see, you know, the ten
6 donors that we've used, and each of these
7 different peptides derived from Cas-9 that bind to
8 each one of these Class I proteins.

9 And so much for Cas-9, we know that
10 Cas-9 is from a human pathogen. You expect it to
11 be immunogenic. But what about the new Cas
12 proteins that are being generated? And here I'm
13 giving you three examples. One is a Cas-9, and
14 then there is Cas-12A which is from human -- from
15 a common cell. And then, Cas-5, which is not even
16 from bacteria, but from a bacterial phase.

17 And the assumption is that these Cas
18 proteins would probably be safer immunologically
19 because most humans have not been exposed to them.
20 And we -- and as we see, that is indeed true.

21 And so, here is a couple of graphs
22 showing B and T cell responses to alternatives of

1 Cas-9. And this is measuring antibodies, and
2 again, in large pool of donors. And you see over
3 here quite clearly that Cas-9, Cas-5, and Cas-12
4 all have pre-existing immunity in the human
5 population.

6 On the right hand side -- the left hand
7 side, you see antibodies. On the right hand side,
8 you see an allele spot-based assay looking at T
9 cell responses. So you can see that both
10 pre-existing in T and B cell immunity exists in
11 these alternatives to Cas-9 as well.

12 This is another graph looking at Cas-9,
13 Cas-12A, and Cas-5 on -- using a MAPPs assay. And
14 again, you know, though there are relatively fewer
15 Cas-5 peptides that we've found, essentially it
16 shows you that all of these proteins seem to have
17 some kind -- some level of pre-existing immunity.

18 And with that, I want to end with some,
19 you know, some unanswered questions that we have
20 in terms of our regulatory responses with regard
21 to these novel modalities. So what -- we do not
22 even know at this point what is the clinical

1 relevance of the adaptive immune response to novel
2 modalities.

3 What assays, reagents, or statistical
4 measures, such as cap point determinations, do we
5 need to evaluate immunogenicity in the clinic for
6 these novel modalities? And do we need more
7 standard -- metric standardization? My guess
8 would be, yes.

9 There are very few, if any, reference
10 standards. And if you need these, who will build
11 the cap? Would it be a community effort, an
12 individual effort in the lab? Developing in
13 silico tools is the cheapest and highest
14 throughput. We are doing it.

15 And you know, we really need tools that
16 are more specific to these modalities. How do we
17 design the assays that reflect the influence of the
18 mode of delivery on immunogenicity, which is much
19 more diverse than other modalities and for
20 therapeutic proteins?

21 And what in silico, in vitro, ex vivo
22 including allele assessments that we need? Like

1 in the case of Factor 7 that I've described that
2 gives, you know, manufacturers and other
3 individuals confidence that if they invest in
4 these kinds of clinical studies, that is going to
5 be clinically meaningful.

6 And developing in silico tools, we need
7 to go beyond peptide MHC binding predictions and
8 developing complex mathematical models. And you
9 know, right now there is -- the FDA just ended a
10 pilot program that's become a regular program for
11 more model-informed drug development approaches
12 that the FDA encourages. And there is a space
13 here for the development of more complex tools.

14 And with that, let me acknowledge
15 individuals in my lab. My collaborations within
16 -- the collaborators within the FDA, and also a
17 research co-op agreement with Editas Medicine
18 which, you know, is involved in the development of
19 Cas -- of gene editing therapies based on Cas
20 proteins. And with that, I'm happy, along with
21 Ronit to take questions.

22 DR. ZHOMER: So now we are going to open

1 our Q&A session. And please, you can take the
2 microphone.

3 SPEAKER 2: I'm Cherise and I'm from
4 Stanford University. So the question is for
5 either one of you. So I wonder if adaptive
6 immunities -- I'm sorry, trained immunities is
7 being considered in this when you both stated
8 adaptive immune response.

9 But there is an emerging field of
10 leukemia innate immune cells and memory-like
11 responses among those, particularly myeloid cells,
12 and K cells. And I wondered whether there's any
13 intention to look at those as well in this
14 context.

15 DR. MAZOR: Okay. Thank you for the
16 question. It's a great question. Indeed for AAV,
17 we're seeing a lot of involvement of innate
18 activation. Most of the studies have seen --
19 really focus on, you know, the DNA gene inside the
20 AAV because it has this serum pump signals.

21 And yes, there is -- there are evidence
22 of activation of monocytes and extracellular cells

1 in many of those myeloid responses. So
2 absolutely, there's a lot of interest. And we
3 have on person in the lab who keeps looking at
4 that as well.

5 DR. SAUNA: Okay. So I would just, you
6 know, add one thing briefly to that in that in
7 these -- definitely there is, you know, value and
8 understanding from a scientific point of view.

9 But in a -- from a practical point of
10 view from -- with limited resources, what is it
11 that -- you know, from a point of view for a
12 manufacturer, what do you do what will give you
13 some kind of meaningful information before going
14 into the study?

15 And that becomes a very different kind
16 of question and a proposition. And it is not easy
17 to find examples and tools where you can show on a
18 one-by-one basis that this is -- this assay, or
19 this group of assays, is actually going to give
20 you something that is clinically meaningful.

21 And that is, you know, just beginning
22 after decades and decades beginning to emerge for

1 therapeutic proteins. We have much less
2 understanding of immune responses and the
3 complexity is much higher for novel modalities.

4 And you know, and again, it has to be
5 much more thoughtful because you have to really
6 understand that particular product, that
7 particular situation, and figure out what are the
8 most useful assays that might be for your, you
9 know, your particular situation.

10 DR. ZHOMER: Thank you. Your question
11 please?

12 DR. GOLDING: Hana Golding, Office of
13 Vaccines. Really two excellent talks, and very
14 thought provoking. And I think the common thing
15 is that you're both using very important both an
16 in silico and in vitro way to identify epitopes
17 that are recognized by combined many HLA and can
18 activate to the four cells.

19 And then, that can either raise the
20 question of whether removing some of these
21 epitopes can then prevent the immunogenicity of
22 other AAV vectors or Cas-9. So the question to

1 you is maybe take the next step, and ask.

2 I would like to better understand
3 whether removing or changing CD for epitopes, how
4 is it actually going to affect to effective
5 mechanisms of adaptive -- either antibodies that
6 are very problematic, especially against AAV and
7 other viral vectors, as well as cytotoxic cells?

8 Do you think there will be a way to
9 really modify the overall immune response to this
10 important treatment that's usually given multiple
11 times?

12 DR. MAZOR: Okay. Thank you for the
13 question. The short answer is yes. I do believe
14 it can modify and reduce the immunogenicity. For
15 AAV, it was not known yet of course, but in my
16 previous slide from the NCI, we worked on
17 therapeutic proteins that were highly immunogenic.

18 And we found that if we can eliminate
19 some of the T cells, the major one, the dominant
20 one based in mice models, it was very effective in
21 preventing completely, or I think it diminished it
22 by 80 percent, the ADA, the antibody response.

1 Furthermore we then translated that into
2 a clinical anticancer therapeutic. And while it
3 did not prevent the immunogenicity, the PK allowed
4 patients to receive, I think it was additional two
5 cycles before they had those very high
6 neutralizing antibodies. And the PK still had
7 some antibodies. So they did natural
8 neutralization once you -- we removed some of
9 those CD4 T cell epitopes.

10 Having said that, for AAV it's a double
11 challenge because we both have CD4 immunogenicity
12 that we received from many therapeutic proteins,
13 but we also have the cytotoxic T cells, the ones
14 that are causing the liver toxicity.

15 So right now, So Jin Bing, she's in my
16 lab working on trying to eliminate both the CD4
17 and the CD8, and it's challenging. It's a lot of
18 work, but we hope -- we believe once it's done, we
19 will try to show in mouse models that it works
20 first.

21 DR. SAUNA: Yes so, I mean I completely,
22 you know, concur with Ronit is that it is

1 desirable, and it is what she's been trying. You
2 know, we try it in different models as well.

3 With proteins, you know, again like
4 Ronit said, you're removing the engagements. So
5 essentially, we are talking about immuno
6 silencing. All we are doing is trying to reduce
7 the engagement with MHC Class I or II.

8 And again, given the diversity of HLA
9 alleles, you know, it's -- you do the -- you kind
10 of come up with a workflow where you, you know,
11 either -- your choice is between trying to
12 disengage as many as possible with whatever degree
13 of disengagement as possible. Or engaging a few
14 and it becomes much more personalized.

15 Then you would have, you know, molecules
16 which are applicable for this subset of HLA
17 alleles for example. And for normal modalities,
18 you know, again this is -- again do you know -- I
19 mean, let's just take Cas-9 for example because
20 I've just chosen to use it as a model.

21 Do you try and to make a protein that is
22 both Class I and Class II immuno silenced? Or

1 generally to -- because you're not going to
2 simultaneously, at least for this protein,
3 simultaneously use it in a manner where it is, you
4 know -- where it's risk equivalent for both of
5 them.

6 For example, if you're giving it an mRNA
7 base, I mean you just might want to, you know,
8 stick to the MHC Class I, which have the added
9 benefit of -- you tend to get far fewer MHC Class
10 I epitopes. Then you'll end up with MHC Class II,
11 which becomes, you know, much more challenging.

12 So again, the answer is the same. Yes
13 we have ideas, but --

14 DR. MAZOR: Yes I think all of these are
15 very good things, as long as of course they don't
16 kill the function of it.

17 DR. SAUNA: And that's constantly there
18 in every -- even in the algorithms. I mean, you
19 build them, and you start with, you know,
20 something of the other to -- I mean, at the end of
21 the day you test it.

22 But we, for example, avoid making

1 mutations and conserve residues. We, you know,
2 use the tools that identifies deleterious
3 mutations and avoid those sites. So I mean, there
4 are ways to get around that, but it's still
5 challenging.

6 DR. MAZOR: Thank you.

7 DR. ZHOVMER: Very nice, thank you.
8 Last question.

9 DR. ELKINS: Actually we have two
10 questions online, but we'll try to be kind of
11 succinct about them.

12 AAV is still a popular strategy for
13 delivery of gene editors. Presumably they could
14 be persistently expressed in transduced tissues
15 for days, weeks, or months. Do you have kinetic
16 data, time course data, for any known
17 immunogenicity assessments over time? And if so,
18 how does the immunogenicity change over time?
19 Maybe that's a yes, no, and maybe.

20 DR. MAZOR: I think it's nice because
21 it's a question that marry Zuben's lab and my lab
22 together. It's delivering the editor through AAV.

1 I think the short answer is, we don't know.

2 I have not done kinetics for
3 immunogenicity. We're looking into more relevant
4 clinical transients, but we have not -- it's not
5 going to be an easy experiment to do.

6 DR. SAUNA: I have nothing to add.

7 DR. ELKINS: And this one can be tough
8 too, and it might go for discussion later. Do we
9 know why sometimes AAV doesn't cause antidrug
10 response, even in situations, where logically, you
11 would think it should?

12 DR. MAZOR: So as I said and tried to be
13 cautious in my introduction, as far as I know,
14 when we deliver AAV systemically to patients with
15 a normal immune system, we expect 100 percent
16 immunogenicity. And not just 100 percent, high
17 titer or very neutralizing, staying for a long
18 time.

19 There are ways to deliver the AAV.
20 Again if you deliver it to an immune privileged
21 organ, like directly into the eye, that at those
22 cases you don't get 100 percent immunogenicity.

1 Sometimes it's even lower. But for just in
2 general, it's a viral vector and the immune system
3 knows what to do with it.

4 DR. SAUNA: So I don't know about AAV,
5 but this is the call of what -- I mean, our
6 concern has always been whether you use a protein,
7 whether you use AAV, or whatever. There are -- it
8 boils down an individual response, like I said.

9 In every instance, there are some people
10 who will get a response, and there will be some
11 people who will not get a response. And for
12 proteins, it's much easier to do. And people can
13 refer to our papers and other papers as well that
14 look at all the different personalized risk
15 factors and, you know, what the importance is.

16 One powerful tool that is now emerging,
17 and we have just, you know, started to actually
18 get a tip of the iceberg is that you can -- for
19 therapies which have been approved and have been
20 used in the clinic for a long time, there are
21 large, very large data sets about that that
22 include genetic data sets for AAV diseases and

1 others.

2 And machine learning tools are a
3 hypothesis way of addressing this question when
4 you have, you know, when you have -- and it's in a
5 kind of different context. This is what Dr. Mazor
6 touched on in real world data.

7 So you use the data which we get from
8 registries from patient advocacy groups, et
9 cetera. And interrogating those data with, you
10 know, machine learning and AI tools is one
11 excellent way of trying to identify genetic risk
12 factor that can help you segregate responders from
13 non-responders.

14 DR. ELKINS: So that's the last word.
15 Please thank all of our speakers and moderators
16 for a wonderful opening session. We have a short
17 lunch break.

18 DR. RANDOLPH: I talked to you today
19 about some of the -- some new technologies that
20 we've been working on for vaccines. Trying to
21 address some questions and limitations of current
22 vaccine technologies that currently make it

1 difficult for us to reach, in particular, all
2 parts of the world with vaccines, where we could
3 easily be doing a much better job.

4 So vaccines are tremendous, and clearly
5 they're the winner, right? Any time you can have
6 something that prevents you from getting a
7 disease, I'd rather have that than having
8 something that fixes having a disease.

9 Beyond that, the overall health benefits
10 in terms of lives saved when you list them out for
11 vaccines are just so huge. They dominate
12 everything. And still we frequently find that we
13 don't have adequate vaccination programs, even for
14 vaccines that exist and are good vaccines.

15 And so, there some reasons why vaccines
16 don't make it into people's arms. Some of them
17 obviously we've heard about with COVID, and
18 there's all kinds of political things and vaccine
19 resistance.

20 But there's also reasons that prevent
21 people who would really like to have vaccines from
22 getting vaccines. And some of those are things

1 like the cold chain requirements with the need to
2 maintain vaccines under rather strict cold chain
3 conditions that really prevent them from working.

4 Especially in underdeveloped countries,
5 but also in this country in places. We're really
6 having trouble getting vaccines through the cold
7 chain to where they need to be reliably.

8 The logistics of vaccination campaigns
9 are really complicated, but the instability as
10 antigens and adjuvants in vaccines. There's
11 another factor is that many vaccines, most
12 vaccines now, are requiring multiple doses.

13 And so, there's just this pretty steep
14 drop off in patient compliance for getting a
15 multiple dose series into your arm. That of
16 course is even more difficult if we're talking
17 about trying to deliver to underdeveloped
18 countries or places that don't have really good
19 healthcare logistic systems. It's hard enough to
20 get one dose of vaccine to people, let alone three
21 or four or five sometimes.

22 So I'll talk a little bit about how we

1 can get around some of these problems. And to get
2 around at least the cold chain problem, we need to
3 be able to store complicated assemblies. Viruses,
4 proteins, they need to be stored for long enough
5 at a high enough temperature so that we can get
6 them out to where they need to go.

7 Nature has a really good strategy for
8 this actually, and that is that nature tends to
9 put things into glasses to stabilize them.

10 There's an interesting example that just
11 reappeared this year, which is that you may have
12 seen the beautiful pictures of the lake in Death
13 Valley what refilled slightly during the massive
14 rains.

15 That lake had been sitting there storing
16 at 120 degrees Fahrenheit for years and years.
17 When the lake filled up with its six inches of
18 water, brine shrimp all of a sudden appeared. And
19 so, those brine shrimp eggs had been stabilized
20 against Death Valley temperatures for year.

21 The way that they did that, the way the
22 brine shrimp achieved that is by forming glasses,

1 organic glasses within their eggs, that enabled
2 them basically to lock down motion and prevent the
3 -- prevent any damage to proteins, DNA needed for
4 them to sort of pop out of their glassy stasis
5 when they get water on them.

6 Same things that happened for things
7 like Lotus seeds found in Chinese tombs that have
8 been 2,000 years old, add water, and they grow a
9 plant. And those are stabilized as well by sugar
10 glasses that are formed inside these seeds.

11 So vaccines have used this approach
12 successfully before, and actually rather
13 frequently. So by freeze drying a vaccine, you
14 form a glass, and that glass typically gives you
15 better long term storage facility for things like
16 proteins. And so, this sounds like a way we could
17 avoid some of the cold chain requirements.

18 There's a downside though in practical
19 application of this, which is lyophilization may
20 cause acute damage to vaccine formulations. Much
21 of that damage seems to come from the adjuvants
22 rather than the antigens involved.

1 The adjuvants that have been
2 traditionally used in vaccines include aluminum
3 salt, and those tend to aggregate during
4 lyophilization. They tend to aggregate especially
5 during the freezing portion of lyophilization.

6 But lyophilization also destabilizes
7 lipid-based antigens, which is sort of the -- part
8 of the new generation of adjuvants that are
9 appearing in vaccines. It also destabilizes the
10 lipid components of lipid nanoparticle vaccines
11 for mRNA.

12 So why does this happen? And then, what
13 can we do to get around it? So one of the things
14 that happens during freeze drying is that you have
15 freeze concentration, correct? So as you form
16 ice, the ice crystalizes out, and that results in
17 the concentration of everything else to higher and
18 higher levels.

19 And so, if you freeze in vaccine
20 preparation, all of the antigens and adjuvants
21 that are there become more and more concentrated
22 as that water leaves as pure ice. And they can

1 become 15 to 20 times more concentrated with
2 everything being that concentrated, right?

3 If you had a protein that maybe had good
4 stability at 150 milli molar salt, does it have
5 that same stability at 1.5 molar salt? Probably
6 not, right? So as these things get more and more
7 concentrated, things can be -- can fall apart.

8 That high ionic strength also
9 destabilizes aluminum hydroxide calwood
10 (phonetic). Those are used as alum in adjuvants,
11 and that can cause aggregation. If you have a
12 lipid adjuvant such as those used in mRNA
13 nanoparticles, that freeze concentration can
14 destabilize emulsions that leads to coalescence,
15 making giant aggregates of the preparation.

16 There's another thing that happens
17 during freeze-drying that's dexterous, and that is
18 there's an ice water interface that's formed.
19 Proteins and adjuvants as well can absorb to ice
20 water interfaces, and those cause instabilities.

21 Ice, when it's forming, it expands as we
22 all know. And during that expansion, things that

1 are absorbed on that surface become mechanically
2 damaged from mechanical stresses.

3 And then, when you're done with the
4 freeze-drying process, you have -- you quite
5 typically have your antigens that used to be on
6 the surface on an ice water interface are not on
7 an air solid interface, and that also can cause
8 damage to these delicate molecules.

9 The way that glasses form during
10 freezing is related to this process, that is as
11 the temperature decreases, ice crystals grow, and
12 things become more and more concentrated. And as
13 they get more and more concentrated, they get
14 gooier and gooier. We start making solutions that
15 become more and more syrupy, thick, and
16 concentrated.

17 As that viscosity goes up, motion slows
18 down. And eventually, things become so
19 concentrated and so viscous, that basically no
20 more motion occurs. And in fact, so little motion
21 can occur that ice stops freezing, or the
22 remaining water stops freezing to make ice because

1 this can't move around to do that anymore.

2 And that point is called the glass
3 transition temperature at maximum freeze
4 concentration, or Tg prime. Once we have
5 concentrated and cooled things so that they are at
6 that temperature or below, things are locked down.

7 You can think of a piece of candy as
8 that final state. So a jolly rancher candy is
9 glass, it's an organic glass, sugar glass. It
10 feels like a solid. It's really a super-cooled
11 liquid, right? We formed those kinds of glasses
12 around our vaccine particles, things that are
13 inside that can't move around, okay?

14 And lyophilization takes roughly in the
15 order of thousands of seconds to get from the
16 initiation of freezing where that freeze
17 concentration starts to the point where everything
18 gets locked down in that glass.

19 And during that time as things become
20 more and more concentrated, damage may occur,
21 right? So there's a kind of danger zone that I
22 call that is the time between initiation of

1 freezing, when solutes begin to cryo concentrate,
2 and the point where we kind of lock things down
3 into this jolly rancher candy that prevents
4 damage, right?

5 So one approach that we've been using is
6 to try to shorten the time over which this danger
7 zone, and embed vaccines in glassy matrices that
8 are formed by spray-drying. So spray-drying is a
9 process where basically you just make a spray of
10 liquid into warm air or warm gas, and if you make
11 the droplets that are being sprayed small enough
12 and the air is dry enough, you can form glassy
13 microparticles within about 100 microseconds.

14 So we go from having a spray of liquid
15 to this tiny jolly rancher candy glassy
16 preparation in a tenth of a second, two-tenths of
17 a second or so. You avoid ice water interfaces
18 being formed, and importantly, you minimize the
19 time that you spend in this cryo-concentrated
20 region where high concentrations of everything
21 else might damage your product.

22 So when you do that, you can embed lots

1 of things in it, and it can become much more
2 stable because they're essentially locked down in
3 this highly viscous environment. It's basically,
4 you know, if you duct tape the kids together, they
5 can't misbehave as much, right?

6 We're just going to slow that motion
7 down incredibly. By incredibly, the viscosity of
8 the sugar solutions that we're spraying goes from
9 being roughly the same viscosity as that of water
10 to ten to the fifteenth times more viscous. So
11 they act basically like solids.

12 So as a starting example here, if we put
13 a virus into these glassy particles, in this case
14 it's a bacteriophage, those viruses can be stored
15 almost indefinitely at even very high
16 temperatures.

17 In this particular case, we stored
18 bacteriophage at degrees in these glassy powders
19 for a year without losing any its infectious
20 activity. And if you had stored -- tried to store
21 the same bacteriophage in an aqueous liquid
22 environment at 37 degrees, we lost I guess what

1 seven orders of magnitude of activity were
2 essentially completely killed.

3 So we can put vaccines into glassy
4 states. We can put complicated assemblies into
5 glassy states. It could be a vaccine. And that
6 will stabilize them, but there some other vaccine
7 challenges that are important here as well. And
8 one of them is this requirement for multiple
9 doses.

10 So by way in which we are going to
11 combine some technologies to both things at once
12 is using a technique called an atomic layer of
13 deposition. And this is very foreign to the
14 vaccine world.

15 It's a method that puts deposits,
16 ultra-thin, nanometer thick layers of metal oxides
17 on surfaces. It's used in the semiconductor
18 industry. It's used to make the powders that coat
19 the inside of fluorescent lightbulbs. And it
20 seems probably remote from vaccines. It was
21 certainly seen that way from the beginning, I
22 guess.

1 It's a technique where you can put
2 absolutely precise layers. By precisising it, we
3 can count the number of molecules, deep of these
4 layers that we can coat things with.

5 So the example is aluminum chemistry.
6 If we start with a surface that has hydroxides on
7 it, which could be hydroxyl groups on it which
8 could be something like sugar, a sugar particle.
9 We expose that to trimethylaluminum. That has a
10 reaction that proceeds essentially
11 instantaneously, and coats the layer with methyl
12 alumina.

13 When we switch from that to water vapor,
14 methane is kicked off and we regenerate the
15 surface where the surface now has one layer of
16 aluminum oxide on it. We can repeat this cycle as
17 often as we want. Every time we do that, we add
18 one layer of aluminum oxide to these surfaces. So
19 each of the cycles that we run deposits 2.33
20 angstroms thick layer of alumina.

21 For convenience, if you want to think
22 about that and avoid some of the chemistry,

1 alumina is sapphire, or sapphire is alumina. So
2 we're basically putting a sapphire coating that's
3 a few nanometers thick on whatever we want to put
4 it on. In this case, it's going to be a
5 stabilized protein class.

6 We do that in a very chemical
7 engineering environment. These are fluidized
8 beds. Fluidized beds, basically if you take
9 powders and blow air through them, they start to
10 bubble and they look almost like mud. Although
11 what is suspending the particles is the air and not
12 a liquid.

13 And so, we can conduct this reaction
14 inside this atomic layer deposition, inside a
15 fluidized bed reactor, so it's very efficient.
16 It's what they used to make tons per day of this
17 material in the semiconductor industry and the
18 lightbulb coating industry.

19 So with really low cost, it turns out
20 you can add these nanometer-thick layers of
21 alumina on the surface of these particles. So
22 this is what it looks like. We start out with

1 spray-dried particles. These are five
2 macron-sized particles.

3 And then, we can apply these
4 nanometer-thick coatings to those. So you can see
5 in this, the right hand SCM image, an image of
6 those shells, we've blasted them open by using the
7 electron beam to sort of show the thickness of
8 them. They form these really thick -- really thin
9 sapphire coatings on top of sugar microbeads.

10 So what could that have to do with
11 vaccines? Well first of all, if we take things
12 like a virus that we could be using as a vaccine,
13 right? We can put those in these glassy powders.
14 They're already pre-stable. When we add the extra
15 coating to that and -- these extra coatings of
16 thin sapphire layers are further protected.

17 In one case here, we looked at three
18 different bacteriophages. These have actual
19 potential therapeutic applications. They're good
20 for addressing multiple drug-resistant bacteria.
21 But you can keep these phages active in this case
22 for nine months at 37 degrees.

1 Why is the 37 degrees important?
2 Obviously body temperature. But that means we can
3 actually inject these powders into an animal or a
4 human. And those powders, the content of those
5 powders, inside of them would be at 37 degrees.
6 The contents would remain stable as long as the
7 coating remaining on the surface.

8 So the next stage of this. I have never
9 particularly worried about taking a shower while
10 wearing my sapphire jewelry. Sapphire doesn't
11 dissolve. We don't need to worry about that, but
12 nothing is completely insoluble at the molecular
13 level.

14 And so, when we coat these powders with
15 20 molecules deep sapphire, and inject that into
16 an animal, that sapphire, those ten molecules deep
17 sapphire do dissolve. It just takes weeks to
18 months to do that.

19 And so, when that happens, eventually we
20 release the contents and essentially, we can
21 release a dose of vaccine if we'd like. This
22 release is very pulsatile. So because we can put

1 extraordinarily uniform coatings on the surface of
2 these particles, they also dissolve at a very
3 well-controlled rate. When they finally dissolve,
4 everything is released all at once.

5 And so, we have -- we can speed that up
6 by putting them into some media that causes them
7 to go a little bit faster. You can see that we
8 deposited some release versus the number of coats.

9 So we can put a different number of
10 cycles on, different thicknesses. Again each of
11 these coats is 2.33 angstroms thick. And those a
12 very good correlation, so we can dial in exactly
13 what we want each of our particles to release.

14 So now, what we can think about doing is
15 saying, well if we have a vaccine that is a two or
16 a three-dose vaccine, we can give that as a dose
17 that it releases immediately, one that releases in
18 two months, one that releases in four months. And
19 that's exactly what happened.

20 So in this case, we've taken some
21 labels, fluorescently labeled PHV virus vaccine.
22 And if you have it uncoated and administered just

1 all on the adjuvant, what you see is that it can
2 remain at the injection site in the mice for about
3 a week.

4 If we put on 250 layers of this, we can
5 move that release out to about ten weeks. And so,
6 you can time then when you want a vaccine to be
7 released from these particles simply by adding in
8 different numbers of coatings.

9 And you can have all kinds of
10 flexibility at that point. You can make mixtures
11 of powders to release a various -- different
12 times. You can make everything release much later
13 as you'd like, right?

14 So in vivo -- I mean, it shows an in
15 vitro solution data before. In vivo, we can dial
16 in the immune response timing by changing the
17 coating levels as well. So these, on the right,
18 we have a graph of the number of molecules, each
19 sapphire we put on these microbeads. And then,
20 plotted that against the weak to peak titer for a
21 vaccine that we've stabilized inside these
22 preparations.

1 So these work really well in terms of
2 reducing the number of doses that we might need to
3 have. So this is some data for an HPV Type 16
4 vaccine. We're plotting HPV at antibody titers,
5 conventional two-shot liquid formulation. And you
6 can see the usual sort of initial antibody
7 response. If you boost, it pops back up again,
8 and then slowly decays after that. A single shot
9 though of the coated -- 250 coats formulation not
10 only requires only a single dose, but it actually
11 gives about an eight to ten-fold higher antibody
12 response.

13 We had some interesting ideas about why
14 that might be happening. But we have succeeded
15 here in removing and reducing the number of
16 required doses from two to one while still giving
17 us a superior response.

18 We haven't lost the thermal stability
19 part of this at the same time though. It's really
20 remarkable here. We've taken these HPV virus
21 vaccines, encapsulated them in these sapphire
22 coated DVs, and stored them for three months of

1 temperatures up to 70 degrees.

2 Degrees is too hot to hold onto, right?
3 And yet, these vaccines are stable for that long.
4 So completely out of the cold chain, and producing
5 a good response that's better than the
6 conventional vaccine in terms of antibody levels.
7 And in this case, we've looked at several
8 different serotypes, so you can do multiple
9 serotypes at the same time as well.

10 So some of the examples that I showed
11 with the HPV were for a protein-based antigen, and
12 if there's bigger challenges, it might things like
13 stabilizing a viral-based vaccine, in particular,
14 envelope viruses such as rabies.

15 So if you look at what rabies looks
16 like, it's complicated. It's got a lot of
17 structure to it. So lots of structures means
18 there's potentially lots of things that could go
19 wrong.

20 However when we take rabies vaccine
21 which is administered currently as a five or
22 seven-dose series, and it has to be stored under

1 cold chain conditions, we can first of all take
2 this rabies vaccine and convert it by spray-drying
3 it into a glassy state into something where we can
4 go up to 50 degrees in this case without seeing
5 any loss of antibody responses.

6 And we can also do this kind of coating
7 and delayed response, things that spreads out,
8 things like can have multiple doses in one. Here
9 we sort of demonstrated how we can delay antibody
10 responses by putting different levels of alumina
11 coating on these, one 60 nanometers thick, and one
12 35 nanometers thick. You can see we just shift
13 that immune response as things basically release
14 at a time that is later and later as we put on
15 more and more coats of aluminum.

16 And once again, the interesting thing
17 about the overall effect of this is not only that
18 we have a stable vaccine, but it's also more
19 potent. So if we look at -- if you'd taken that
20 spray-dried vaccine without the coating,
21 reconstituting, and injecting it, we get a good
22 response. But when we put those in these

1 sapphire-coated microbeads, that response goes up
2 by about an order of magnitude again in terms of
3 the antibody response.

4 And this was unaffected when it was
5 stored at degrees for a month. So all of sudden,
6 now we have a rabies vaccine where we can replace
7 multiple doses with single doses, and they don't
8 need to have cold chain anymore, okay?

9 I'll also note that not only did we get
10 overall antibody titers higher, the neutralizing
11 titers are also in order magnitude higher when
12 things are coated with this atomic layer
13 deposition coatings.

14 So some of the directions we are going
15 in the future with this are that not only can we
16 -- by controlling, not only is the stability and
17 the -- but also the time of release, and the
18 amount that gets released, is a new set of
19 flexibilities we can have in vaccine design and
20 vaccine delivery.

21 So Shane Crotty and Darrel Irvine have
22 published recently some very interesting results

1 suggesting that you can get much better immune
2 response in terms of avoiding B cell immuno
3 dominance in germinal center guanosine and quality
4 improvements by going to sort of a sustained flow
5 release of antigen.

6 And they demonstrated that using osmotic
7 pumps that were implanted, or multiple escalating
8 doses. And that's nice, but multiple escalating
9 doses are what we're trying to avoid. And the
10 stability challenge of implanting an osmotic pump
11 and having the stuff come out in an undamaged
12 fashion is pretty daunting.

13 No one's also going to use implanted
14 osmotic pumps as a vaccine delivery system. So we
15 wanted to make a single-shot formulation that
16 recapitulates some of the benefits of what Crotty
17 et al. had shown with osmotic pumps, but using our
18 system.

19 And so, we're doing that using the HIV
20 envelope protein trial, this N332-G2 molecule.
21 And basically, the idea is again we'll put our
22 particles -- construct our particles containing

1 those antigens. We'll coat them with different
2 levels so that they release at different program
3 times, and see if we can increase the immune
4 response as a result of that.

5 So we put this protein into our
6 particles and coated it with different levels.
7 And you can see the distinct effect of the
8 different levels. Each level releases at a
9 different time. And so, as -- we can program that
10 in. In this case, we went from 30 to 200 coats,
11 each of those giving us a different release time
12 of the antigen.

13 And we put that together with a dose
14 format where we gave about 10 percent of the dose
15 -- initially 10 percent of the dose comes out of
16 day seven as the coating dissolves. And another
17 70 percent comes out in two weeks.

18 And compare that to what happened when
19 you just give a single bolus dose of the same
20 quantity of this particular protein, and that's in
21 black. In red, you can see where we put in the
22 single dose, but with controlled release of these

1 three spots for the ALD system.

2 And once again, we get this boost
3 roughly -- in this case, these are AUC values, but
4 a significant boost in immune response over what
5 happens with a single bolus dose. So we've been
6 able to essentially recapitulate what Crotty et
7 al. did with this -- with an implanted pump using
8 a controlled release microparticle system.

9 So with that, let me stop but just say
10 that we're really excited about how you can use
11 some technology that's been used at large scale in
12 the semiconductor industry for making spray-dried
13 glassy particles that we can coat with alumina and
14 other metal oxides.

15 We can stabilize things as complicated
16 as envelope viruses, stabilize proteins, stabilize
17 protein antigens, and that lets us get to
18 single-shot bolus release formulations that can
19 now be used to design really complicated and
20 interesting multiple-dose regimens for vaccines
21 that may really expand our ability to do things
22 like really target and address broadly

1 neutralizing antibodies, for example, in vaccine
2 development. So thanks.

3 DR. VILLA: Thank you very much. Really
4 fascinating talk. We have a question right here,
5 Doctor.

6 SPEAKER 1: Yes. This is a very
7 interesting talk. The concept is very good. I'm
8 from Office of (inaudible), and I have one concern
9 that you're giving a single dose shot of multiple
10 doses.

11 So if the patient reacts to the vaccine, you have no
12 recourse of taking contents out, right? So how will
13 you treat that?

14 DR. RANDOLPH: Yes that's true. You
15 can't take it back out. The conventional vaccines
16 as antigens absorbed onto alum release over the
17 course of a week, and you can't take those out
18 either.

19 SPEAKER 1: So that's it? You have one
20 shot, and one does.

21 DR. RANDOLPH: Yes.

22 SPEAKER 1: And then, you can control

1 the adverse reaction beyond that.

2 DR. RANDOLPH: Well in actually all of
3 these examples, we're delivering exactly the same
4 total dose, it's just kinetics of biodistribution
5 are spread out. But you're right, you know, you
6 can't get it back out.

7 SPEAKER 1: Yes. So you may have to
8 think of that in the future.

9 DR. VILLA: I think there's another
10 question on this side.

11 SPEAKER 2: Yes I actually have two
12 questions. The first is more practical. Well
13 they're both practical. In terms of
14 polysaccharide conjugate vaccines, do you have a
15 way to measure if the interactions between your
16 glasses and alum or aluminum phosphate are
17 similar, or how are they different?

18 Because when I think of what you're
19 doing, for some reason I'm thinking more of a
20 solid. And when I think of the others, it's more
21 of a gel, and maybe that's a very fine line. But
22 one seems more amorphous and malleable to me.

1 DR. RANDOLPH: Maybe you can repeat
2 that.

3 SPEAKER 2: Yes. So are these -- the
4 basic question is, are the interactions between
5 polysaccharide conjugate vaccines and your -- have
6 you -- first of all, have you looked at them? I'm
7 guessing you have.

8 DR. RANDOLPH: Yes.

9 SPEAKER 2: And are they different in
10 your materials, in your glasses, your sprays, than
11 they would have been in say the alum, the
12 classical --

13 DR. RANDOLPH: They are different. So
14 there's essentially almost no interaction between
15 our aluminum coatings and what's on the inside.

16 SPEAKER 2: That's very interesting.
17 How do you measure that? How do you determine
18 that there's no interaction?

19 DR. RANDOLPH: So the way we've done
20 that is by doing the experiment of putting the
21 antigen on the outside of the particle where -- or
22 on the inside.

1 SPEAKER 2: Yes.

2 DR. RANDOLPH: And we only get these
3 immune bursts -- enhanced immune response when
4 things are in the glassy state inside the
5 particle.

6 SPEAKER 2: Okay.

7 DR. RANDOLPH: All of our coding is done
8 on glassy particles where things can't move
9 around. So anything that's on the inside of the
10 particle never even sees the coating level.

11 SPEAKER 2: Sure.

12 DR. RANDOLPH: SO there's a lot less
13 interaction. So in terms of, you know, antigen
14 absorbing to alum, we have far less surface area
15 available for that to occur. And most of it is
16 protected on the inside of these glassy stasis.

17 SPEAKER 2: Okay. And the second
18 question was, you talked about dissolution, and
19 breakdown came to mind, chemical breakdown. So
20 what -- are the breakdown products of your glasses
21 the same as alum, or are they different?

22 DR. RANDOLPH: Yes the same.

1 SPEAKER 2: Okay.

2 DR. RANDOLPH: It's just an oxide, yes.

3 SPEAKER 2: So it just takes a little
4 bit longer for this to break down?

5 DR. RANDOLPH: It takes that long for
6 the alum to break down too.

7 SPEAKER 2: So it's the same timeline
8 basically?

9 DR. RANDOLPH: Yes the same timeline,
10 except we're just -- we're not looking at -- I
11 mean, in our case, by the time it breaks down,
12 that's going to be pretty coincident with complete
13 clearance, right?

14 If you inject alum, that alum is going
15 to hang around for months and months, and slowly
16 it does fully dissolve, but it takes an awful long
17 time. Our layers are so much thinner than even
18 the primary particles in alum.

19 SPEAKER 2: Okay.

20 DR. RANDOLPH: But they disappear in
21 much faster (inaudible).

22 SPEAKER 2: Thanks.

1 SPEAKER 3: Just a quick question. Have
2 you looked at what can -- or I'm sorry. Have you
3 thought about naturally stable viruses like
4 vaccinia virus or variola virus?

5 And I'm sure Shane would have thought of
6 that anyway, but do they have any special
7 characteristics that contribute to that
8 stabilization, so smallpox 100 years, maybe more
9 for example.

10 DR. RANDOLPH: Yes. I think if you
11 think about some of the cases where smallpox may
12 have been stabilized in, you know, rodent dens and
13 other things, it is entirely possible that it was
14 just partially stabilized by -- in sort of dry
15 glassy matrices as well.

16 And there certainly are viruses that are
17 inherently more stable than others. And so, you
18 know -- but looking at lenti virus, a large -- the
19 different bacteriophage each have their own
20 intrinsic stability.

21 And yet, basically when they're put in
22 the glass, everything stops, and they all look

1 kind of the same. So I think it's -- I mean, it's
2 hard. It's obviously impossible to say that it's
3 going to be the same for every single virus.

4 We were little surprised actually that,
5 like in the case of the envelope viruses which
6 have this sort of watery layer in between the
7 outer surface and the membrane, that those would
8 be stabilized by drying. They work.

9 DR. ELKINS: We have a feisty group of
10 online questions. The first one is partially with
11 CDS, but is about the safety of the ADL-coded
12 particles from the release of the material itself.
13 What do you know about physiological responses to
14 that?

15 DR. RANDOLPH: So physiological
16 responses, we haven't seen -- so this is all in
17 mice, but we haven't seen anything in terms of the
18 difficulties with any kinds of adverse reactions,
19 or things like that.

20 I mean, basically what happens is we're
21 going to be releasing aluminum oxide, which is
22 again the same thing that's being released when

1 you have an alum-based vaccine. So the track
2 record on that is 100 years of alum-base vaccines
3 with pretty good safety profiles.

4 DR. ELKINS: I've got it. Next, can
5 either of the techniques be used in drug
6 deliveries, particularly oncology treatments?

7 DR. RANDOLPH: I would love to say yes,
8 but I'm not sure how strongly I'd want to say yes.
9 The reason for that is that in these glasses, the
10 concentration of actual antigen is pretty low.
11 And so, the amount of powder you'd have to give
12 somebody for many treatments would be just too
13 excessive.

14 So it'd have to be something that's
15 pretty potent. But for some things that are
16 really potent, yes. And the advantage of
17 vaccines, of course, you're giving microgram
18 doses.

19 DR. ELKINS: Thank you. Next, is WHO
20 looking at the technique to address the cold chain
21 problems, particularly in Africa?

22 DR. RANDOLPH: WHO is not directly.

1 We're doing this with Bill and Melinda.

2 DR. ELKINS: They have more money, I
3 hear. Okay this is an interesting one. The ADL
4 process itself usually takes place in a harsh
5 environment such as high temperature and pressure.
6 How do you deal with that, and can the biologics
7 withstand the environment that's needed?

8 DR. RANDOLPH: Yes. So that was maybe
9 the surprise, again, that something that's used in
10 a semiconductor industry would work this way.
11 It's actually low pressure, and the temperatures
12 that we use are not as high as would be use in the
13 semiconductor industry.

14 And when you do that, it takes a little
15 bit longer and the yield is lower. And so, my
16 colleagues were very worried about making tons per
17 day. We're very worried about how this would add
18 another cent per dose, and we weren't worried.

19 So you can adjust those conditions so
20 that the temperatures are such that we don't lose
21 activity of proteins and protein antigens, you
22 know. If we're talking about exposures, each of

1 those cycles takes minutes. And when we're
2 talking about exposure, it's 60 degrees for a
3 minute. And stuff has been stabilized so it can
4 maintain 60 degrees for months.

5 DR. ELKINS: Very good. Can you use the
6 technology for sequential release of different
7 antigens, different immunogens?

8 DR. RANDOLPH: Sure and that's where the
9 next round of this flexibility goes. If we're
10 doing sort of polishing for steps for a vaccine
11 where we might want to direct the immune response
12 by suddenly altering the antigen over time of
13 release, we can certainly do that.

14 DR. ELKINS: Okay and last but not
15 least, do you see potential for the use of
16 technology for cell and gene therapies?

17 DR. RANDOLPH: Yes.

18 DR. ELKINS: Good answer.

19 DR. RANDOLPH: No I mean, anytime if you
20 want to think about delivering any viral vector
21 that might be used in some of those techniques,
22 you can -- we can deliver those. We can stabilize

1 and deliver those vaccines. You'd have to figure
2 out the reason why you want to do that, and the
3 temporal characteristics to be looking for. But
4 yes, I think you can do it.

5 DR. KHOSHI: Great. Thank you very
6 much. Good afternoon everyone. I'm Amir Khoshi,
7 and I am Head Staff Fellow at Laboratory of
8 Rutgers Hematology, Division of Blood Component
9 and Devices.

10 It is my pleasure to introduce the
11 second speaker, Dr. Kaitlyn Sadtler. She is a
12 Chief of the Section on Immunoengineering at the
13 NIH. Dr. Sadtler was selected for the Forbes 30
14 Under 30 list in science, the MIT Technology
15 Review, 35 Innovators Under 35, the World Economic
16 Forum Young Global Leaders, and the National
17 Academies of Science, Engineering, and Medicine
18 New Voices Program.

19 At NIH, Dr. Sadtler has, in her labs,
20 expertise to the fight against COVID-19, leading a
21 study that detected 16.8 million undiagnosed SARS
22 Coronavirus 2 infections in the U.S. After the

1 first wave of the pandemic. Please welcome Dr.
2 Sadtler.

3 DR. SADTLER: Okay. Thanks so much for
4 that introduction. I'm going to pivot a little
5 bit today to chat about some of our lab work
6 specifically in the realm of traumatic tissue
7 injury and reconstruction. So we're looking a
8 little bit earlier in the timeframe, kind of
9 pre-clinical development/kind of that initial
10 discovery phase.

11 And in terms of our biologics, we're
12 looking at -- we're working with biomaterials
13 medical device implants. But then, also us as a
14 biologic anytime you have any sort of
15 intervention.

16 First and foremost, I want to say a huge
17 thank you to my lab. I'm about five years into
18 leading a group, and have really enjoyed my time
19 there. I'm not the one doing the pipe heading
20 anymore. So huge thanks to them. It's been a
21 wonderful environment to work in.

22 So first off, in terms of traumatic

1 injury, what are the problems we're dealing with,
2 and what are we facing here? The stats that
3 always have kind of surprised me was that
4 traumatic injuries are actually the fourth-leading
5 cause of death overall in the United States, and
6 it's the leading cause of death for people under
7 the age of 45.

8 Of course, a lot of people notice this.
9 And back in 2016, the National Academies down in
10 D.C. generated a consensus report, and set forth
11 this goal of minimizing those deaths and bringing
12 them -- bringing preventable deaths down to zero.
13 So they called this, Mission Zero.

14 If we look at progress on that,
15 unfortunately preventable deaths have only risen
16 since 2016. So this was and remains a problem.
17 After that -- traumatic injury after that event,
18 patients on the immune side of things, which is
19 what we look at, suffer from both activation and
20 immune suppression conditions making them a very
21 interesting and complex patient population.

22 And if you talk with any clinicians that

1 work in the emergency department, they will see
2 that they meet patients on their worst day of
3 their life. And bottom line is, even if they get
4 through those first few golden hours and through
5 that survival window, there's a lot -- there's a
6 long road of recovery that they have to deal with.

7 So when it comes to recovery from soft
8 tissue injuries, which is what we work on, current
9 standard of care is skin grafts, muscles flaps,
10 and all of those come with donor site morbidities.

11 If you have other organs that are
12 damaged, there's limited availability of donor
13 organs. And then, in addition to these accidental
14 injuries, surgery also causes problems. And so,
15 we have accidents, but then also just day to day
16 procedures cause tissue damage.

17 And so, our lab at the NIH focuses in on
18 this problem both from a fundamental level looking
19 at basic immunological discoveries, understanding
20 how the immune system interacts with both
21 injuries, and the materials that are implanted to
22 treat those injuries.

1 Those mostly we use mouse modeling. But
2 we also go ahead and apply those fundamental
3 discoveries with a goal of developing new
4 materials to help with wound healing and tissue
5 regeneration. And this is all in this platform of
6 clinical discovery and translation because
7 ultimately speaking, we want to make therapeutics
8 for humans, not just mice.

9 So we had a mission statement. Our goal
10 is to understand these fundamental mechanisms of
11 how our immune system responds to injury and
12 medical device implantation, and how we can
13 engineer those immune results to promote tissue
14 regeneration and recovery from traumatic injury.
15 I forgot I added a little pizzazz on that slide.

16 So I got the keys to my lab in late
17 January of 2020, which is really great time to
18 start a lab. And I go back to this slightly
19 modified quote of, smooth seas never made a good
20 captain.

21 So if you do any Googling, you might
22 find some COVID work from our group because like

1 with everybody with a lot of -- you hear at the
2 FDA, we pivoted. We tossed our hat in. We used
3 that engineering approach to solve an immunology
4 problem, and we worked on those SARS-CoV-2
5 serosurveys. However, that wasn't our goal coming
6 in.

7 Our goal coming in was immunology in the
8 context of traumatic injury. And luckily working
9 at the NIH, we'd be able to pivot back. And one
10 really exciting and kind of a lucky collaboration
11 that we had was inside the government.

12 We were actually approached by the
13 Department of Transportation that was doing a drug
14 study in trauma patients during the pandemic. And
15 they were curious about SARS-CoV-2 incidents. And
16 so, we had this wonderful intersection of our
17 SARS-CoV-2 work, along with the trauma work.

18 And so, ultimately we did do some work
19 on SARS-CoV-2 seroprevalence, and in this
20 population we did find a difference in comparison
21 to the regional studies from that same time
22 period.

1 But we were sitting on a pile of
2 samples. We were getting SARS-CoV-2 antibody
3 data. But there was so much more we could learn
4 from those samples. And so, we had this very
5 large collaborative effort from multiple trauma
6 centers that were external to the NIH.

7 We worked with a contracting company.
8 Ultimately those samples were routed to the NIH
9 where we collaborated with other institutes,
10 including NIAID. And also our friends in DoD
11 across the street to understand the immune
12 response to human trauma to help inform some of
13 our biomaterials design work.

14 And so, we were looking at a
15 1,000-patient cohort study on human immune response
16 to traumatic injury. A whole lot of clinical data
17 in this data set, but ultimately speaking, we have
18 a variety of different injury sources. So things
19 like motor vehicle crashes, falls, gunshot wounds.
20 And then, we also have a variety of injury types.
21 Lacerations, fractures, and the like.

22 When we evaluated 59 different proteins,

1 we could actually find some new trauma response
2 proteins. And some of them were really
3 interesting. So overall, we found some of the
4 major players.

5 So if you look down at this graph, IL-10
6 is up in trauma patients. That's a very standard
7 thing that's noted that IL-10 kind of dampens the
8 immune system. However there were a couple that
9 had been previously reported, and one of those
10 that was really cool for us was IL-29.

11 Mostly we had a hunch that it might be
12 interesting, and we couldn't find anything in the
13 literature with IL-29 and trauma. There was one
14 paper on IL-29 of substance.

15 This was an interferon lambda. It is a
16 cousin of interferon gamma. It has some
17 structural similarities to IL-10. But it was
18 really interesting because it was one of the
19 highest upregulated. So IL-29 here is third, just
20 past IL-10 in this, and it hadn't been described
21 before.

22 What was even more interesting is it

1 wound up being a predictor of survival. And so,
2 people with higher levels of IL-29 actually had a
3 higher likelihood that they would survive their
4 traumatic injury.

5 And when we wound up combining a little
6 bit of machine learning, and then ultimately
7 deciding that fractions were easier, we could
8 develop this algorithm which we call, VIPER, which
9 had five different proteins.

10 And when we combined those in this
11 equation here and we evaluated that score in
12 trauma patients, we found that it was quite
13 predictive of patient survival. And so, we called
14 it VIPER to get it across an editor's desk.

15 And then, we're looking into some of
16 these proteins and the potential functional roles
17 of these in terms of trauma recovery and
18 therapeutic application. And so, we're really
19 excited about that.

20 And this is a huge, you know, systemic
21 study. We're looking at blood plasma. And if we
22 think about those systemic responses, of course,

1 they can alter bodily function. There's this
2 massive surgical corticoid, so there's this huge
3 systemic immune response when you have trauma.

4 But ultimately speaking, the injury
5 context can really change that local immune
6 response. And so, I'm a big believer in the
7 tissue-specific immunity. And so, a response in
8 the skin is not going to appropriate for a
9 response in say the nerves or the eye.

10 And so, ultimately those local immune
11 responses really matter in wound healing tissue
12 regeneration. And this is something where we
13 moved into our mouse model to start digging a
14 little bit deeper.

15 And so, we work primarily on skeletal
16 muscle. And I really love this figure because it
17 shows the complexity of the immune response in
18 skeletal muscle repair. And so, early on we have
19 skeletal muscle damage and signals, such as
20 interferon gamma which is a more Type 1
21 inflammatory response can stimulate myoblast
22 proliferations.

1 So stem cell proliferation, you need
2 stem cells in order to regenerate tissue. However
3 later on, that's got to shift to a more Type 2, or
4 regulatory immune response, in order to get fusion
5 of these myoblasts into myotubes to form new
6 muscle fibers.

7 And so, what's really cool here is we
8 kind of have this pattern. We have an immune
9 pattern that needs to be solid to help heal and
10 regenerate tissue. So it's not just sighting off
11 of those infections, but it's actually promoting
12 the reconstruction and regeneration of the tissue
13 itself.

14 And this is kind of the general dogma of
15 wound healing, which is an early Type 1
16 inflammation, followed by a Type 2, or regulatory
17 inflammation to resolve. And one thing that
18 really bothered us.

19 Do you see this huge mass? And you
20 think about trauma as massive injury, a massive
21 insult, and it's pretty instantaneous. Whereas, a
22 viral infection will take some time to build up.

1 A car crash injury is usually less than a second.

2 And so, if we look at this and think all
3 of that inflammation is going on, how do we not
4 get autoimmunity if you have this massive
5 disturbance in homeostasis? There's a massive
6 disruption in your tissue. What mechanisms by
7 which has our body made to go in and handle these
8 traumatic injuries and make sure that we don't
9 start attacking ourselves?

10 So just as a bit of refresher on
11 immunologic cell tolerance, or kind of the way
12 that our body knows what's us and what's not us,
13 this happens -- tolerance largely happens in the
14 thymus system. It's an organ that is above the
15 heart and happens before birth.

16 This transcription factor called AIRE is
17 activated in these cells called MTEX. And
18 basically, it presents a lot of cell proteins to T
19 cells. If they react to it, they can either be
20 deleted or turned into Tregs. Furthermore, there
21 are patients that have a defect in AIRE, and those
22 are associated with autoimmune diseases.

1 And then, peripherally, so outside of
2 the thymus and the periphery, there's a variety of
3 different cell types that are at play in
4 maintaining this peripheral tolerance, and those
5 include regulatory T cells or Tregs, conventional
6 Type 1 dendritic cells or cDC1s, and plasmacytoid,
7 DCs or pDCs.

8 So there's been a lot of prior work on
9 immunology and Tregs in muscle damage. We
10 certainly aren't the first. A lot of these
11 detailed mechanistic studies involving these two
12 models.

13 The cardiotoxin, or CTX-based injury
14 model, or freeze injury cardiotoxin is an
15 injection of the snake venom. It causes
16 innervation and degeneration. And freeze injury
17 is exactly how it sounds.

18 And then, in terms of what's been
19 discovered, Diane Mathis's group has been a real
20 pioneer in this area. And they've shown that
21 Tregs respond in an antigen-specific fashion. So
22 they were responding to cell proteins to go ahead

1 and help repair this muscle tissue. And these are
2 canonical Tregs characterized by Tox CD3 and CD4
3 expression.

4 Others have shown that exercise induces
5 Tregs. And if we think about exercise, exercise
6 has muscle damage. So there's multiple types of
7 muscle damage that have been associated with
8 Tregs. And you can get even more details, and go
9 in and find different proteins that's basically
10 associated with the Tregs that are important in
11 these processes.

12 But the great thing about these bio
13 models and why we lean on them so much is that
14 they're predictable. They result in complete
15 regeneration. So when something goes wrong, you
16 can tell it's gone wrong. They're easy to
17 evaluate in a world of complex immunology, having
18 something that's a little bit more straightforward
19 as far as an outcome is wonderful.

20 However how applicable are these models
21 to the clinic? So when we're thinking of
22 biomaterials, and we're thinking of that clinical

1 translation element, let's take a look back that
2 1,000-patient cohort that we had, and look at the
3 ICD-10 codes.

4 What about toxic cachectic snake venom?
5 How many of those patients have snake bites?
6 Zero. And we're looking at about 7,000 to 8,000
7 per year in the United States. What about
8 freeze-based injuries? We also had zero. 2,000
9 to 3,000 in the United States per year.

10 What about physical traumas? Anything
11 that induces physical tissue damage. 99.99
12 percent of those patients came into the ER with
13 physical tissue damage ranging from motor vehicle
14 crashes, crush injury. We also had some
15 questionable decisions with fireworks in the mix.
16 And so, the number per year in the U.S., 24.8
17 million.

18 Now if you remember, I said that surgery
19 also causes trauma. We have 64 million surgeries
20 in the U.S. each year. And materials are often
21 implanted to help heal wounds and fix these
22 damaged tissues.

1 So we need a complementary approach.
2 Not one or the other, but we need a complementary
3 model to go along with those basic biologic models
4 to really understand what might be happening in
5 the clinic and in patients.

6 And as I was mentioning, surgeons put a
7 lot of stuff into people. And so, when we look at
8 repair wounds, we have biologic products for wound
9 repair. So this is an example of decellularized
10 extracellular matrix, or collagen-based scaffolds.
11 This is being put into a diabetic foot ulcer.

12 Those materials have also been used in
13 larger traumatic injuries, such as volumetric
14 muscle loss. This work is being pioneered Steve
15 Badylak at the University of Pittsburgh.

16 There's also synthetic materials that
17 are implanted for reconstruction, not necessarily
18 designed to integrate with the tissue, such as
19 breast implants and gender-affirming care.

20 Here you can actually see a negative
21 immune response against breast implants. So this
22 is what's called a foreign body response. And so,

1 you can see the fibrotic encapsulation of these
2 materials. So the immune system matters both for
3 the integrating and healing, but also the ones
4 that don't.

5 And as mentioned, anytime you have a
6 surgery or an implantation, it causes trauma. If
7 you've ever been in the OR and watched a surgery,
8 it's especially if you've been in an orthopedic
9 OR, it's not a gentle procedure per se. So you're
10 generating that tissue damage, even though it's
11 not an injury per se.

12 And so, what we used is we use a
13 volumetric muscle loss, or a VML model. It is a
14 surgical excision model, so we go in and we
15 physically remove muscle tissue. And then, we go
16 ahead and we -- and it's a permanent defective
17 muscle function.

18 So this is about 30 percent defective
19 muscle function here, and we go in and we implant
20 two different materials to hit the immune system
21 pretty much with a sledgehammer. This is our
22 version of generating that binary in a non-binary

1 world.

2 And so, we have a material that's been
3 associated with positive outcomes in wound care
4 and regeneration, which is the extracellular
5 matrix, or this ECM-based biomaterial here used in
6 hernias, diabetic foot ulcers, things like that.

7 And then, we have polyethylene, which is
8 a hydrophobic polymer. Great when that stays
9 together, but when you have where particles are
10 breaking off, you get inflammation and fibrosis.
11 And so, if we compare these two and figure out
12 what makes the good, what makes the bad, we can
13 identify targets for rationally designed
14 therapeutics.

15 And so, what happens to wounds without
16 cell tolerance? Let's go back to that gene, AIRE,
17 the one that's responsible for central tolerance.
18 And so, we'll go ahead and take their approach of
19 breaking it and seeing what happens. So let's
20 look at a mouse that does not have AIRE.

21 So down here where you've got the pink,
22 which is your muscle tissue, and here is your

1 inflammatory front, and this is the polyethylene
2 material. Here's your wild-type mouse and here's
3 your AIRE in knockout count. You see this massive
4 expansion in that inflammatory front, which was
5 confirmed with CD45 DNA. So we have a massive
6 immune infiltration.

7 Furthermore it's made worse with
8 materials. So we can see that the inflammation
9 here, just in the injury itself, in the AIRE in
10 knockout mice. However the second you add that
11 polymer, you have a massive induction of
12 inflammation that's much worse than your wild-type
13 mouse.

14 It's probably one of the worse genotypes
15 I've ever seen in a knockout mouse. And so, I
16 jokingly said, here I am. Don't put plastic in
17 people without cell tolerance. Generally the body
18 doesn't like it. So a big takeaway.

19 Here is cell tolerance, kind of duh
20 takeaway, but cell tolerance seems critical for
21 wound healing, and especially with material
22 implantation. So what are some mechanisms of this

1 tolerance in normal mice?

2 So I'm not going to dig into this story
3 because it is published. I mean, I would love to
4 chat with you guys about a little bit of our
5 unpublished work. But one of those cell pics that
6 I had mentioned previously, we wound up
7 investigating a bit more in this model because
8 polymerogenic CDC1s are these classical dendritic
9 cells.

10 And what we found was when we treated it
11 with a material that really promoted the
12 regeneration, we had enrichment of these cells
13 where it's that fibrotic inflammatory material.
14 We had enrichment of other dendritic cells, not so
15 much these CDC ones.

16 Furthermore, when we looked online at
17 cells that had previously been implicated in this
18 repair process, we saw that this affected T cell
19 activation, and they also affected MP, macrophage
20 polarization.

21 Now both of these have been implicated
22 in the repair process. And ultimately, if we

1 knock out the CDC1s, we get defects in this tissue
2 repair, defects in the biogenesis. We get fat
3 appearing where it's not supposed to be. We get
4 calcifications appearing where they're not
5 supposed to be.

6 If we look the other way and we look at
7 what might be recruiting them, we wouldn't know
8 how to take inspiration from tumor literature and
9 said hey, if NK cells can bring in CDC1s in a
10 tumor, why won't it be the same in wounds? And it
11 was.

12 So we found a recruitment of NK cells
13 that produce this chemokine XCL1 that correlates
14 with this XCR1 expression on these eugenic cells.
15 And furthermore, if we stimulated NK cells with
16 damages associated with molecular patterns in
17 vitro, we could go ahead and get up regulation of
18 this gene expression suggesting you've got tissue
19 damage which stimulates NK cells to create XCL1 to
20 bring in your CDC1s that ultimately affect the
21 immune activation in the environment.

22 So basically, we've kind of found a new

1 pathway for cell tolerance in immunoregulation
2 after traumatic injury using this very intense
3 injury model. And one thing that we had seen in
4 this study, which was kind of like, huh,
5 interesting moment that we decided to dig in a
6 little bit deeper were these weird regulatory CD8
7 positive T cells.

8 And why we were interested in those is
9 CD8s are capable of this process known as
10 cross-presentation. Basically they take antigens
11 from outside. Instead of talking to CD4 T cells,
12 they actually talk to CD8 T cells.

13 And so, when we look at these CD8 Tregs,
14 we can think about some of the past and history.
15 They are controversial. Some people think they
16 exist. Some people don't. So I'm going to
17 present you everything we've got.

18 Basically they were first described in
19 the 1970s. They're characterized by the
20 expression of CD8 Helios and Ly49 inhibitory
21 receptors in mice, or KIRs in humans. They have
22 been associated most prominently.

1 Mark Davis's group at Stanford has
2 chatted about them in autoimmune compensation
3 after CD4 reg depletion, and the mouse models
4 multiple sclerosis, also in severe COVID-19 where
5 you have lung damage. And then, by another group
6 in transplant immunology.

7 So in our group, we have both RNA
8 sequencing and flowcytometry. We do see them.
9 They express Helios. They express these
10 inhibitory Ly49 receptors, and low levels of CDA.

11 I will say that there are CD3/TCR beta
12 positives. CDA is negative, and Ly49 inhibitory
13 positive cells, and there are two. So something
14 else is in there that kind of looks like them.
15 And we found these in muscle, blood, and lymph
16 nodes of mice.

17 I will say they are very sensitive to
18 sample processing stuff. I'm pretty sure if you
19 look at the tube wrong when you're prepping them
20 for flowcytometry, these antigens fall off. So
21 that is a possible explanation of not seeing some
22 of these things, but we do see them with RNA C10

1 flowcytometry.

2 So ultimately, we don't really care what
3 you call them, we just care what they do. So I'm
4 going to refer to them CD8 regs, but this is the
5 cell population that I'm talking about today.

6 So what have we found out? Or
7 specifically, a post-doctorate fellow in the lab
8 of this year found out about these CD8 regs. So
9 when he went ahead and looked at the gene
10 signature, he found out that they shared gene
11 expression patterns with canonical Tregs to the
12 ones that Diane Mathis's group had implicated in
13 muscle regeneration. And then, also natural
14 killer cells. So again the killer in the
15 regulatory phenotypes.

16 Furthermore for those interested in
17 two-cell receptor sequencing, they were very
18 diverse, and they were correlated with
19 self-reactive motifs. So previously, work
20 published in immunology found that hydrophobic
21 residues at P6 and P7 of the CDR3 were associated
22 with self-reactivity, and we see that really

1 highly enriched within the CD8 reg class.

2 When you look at the different types of
3 T cells, and you look at the clonal expansion, you
4 can see that your standard helper Ts, your
5 standard Tregs, your standard CD8s, they all are
6 clonally expanded. But then, down here this
7 cluster Number 6, those are CD8 regs. So they're
8 not clonally expanded. They've got a very diverse
9 T cell receptor chart.

10 And then, of course we want to know, are
11 they existing in human muscle? And so, when we
12 dug into a published single-cell learning
13 sequencing data by Ben Cosgrove's group, we took a
14 look at the CD3 positive population.

15 And within the CD3 positive population,
16 we looked at those. They were positive for these
17 KIRs, which are equivalent to those Ly49 receptors
18 in humans -- in mice. We did find them pop up
19 within this subgroup. So they are also present
20 within human muscles.

21 Unfortunately not too many people want
22 to give up their muscle for single-cell

1 sequencing. A little bit harder than tumors, so
2 the data availability is something that's lacking
3 on the human side. But we are working with some
4 trauma centers trying to build that up a bit.

5 And so, ultimately our theory was
6 potentially these CDCl1s and these CD8 regs were
7 communicating. So what evidence did the CD8 find
8 that this might be true? First off, they
9 expressed the proper protein machineries. So they
10 have the tools to talk to each other.

11 So again, we were using single-cell RNA
12 sequencing, and we were using flowcytometry. We
13 found that these CD8s expressed things like the
14 CDCl1s, expressed Qa-1, which is the MHC-I that CD8
15 regs recognize.

16 They expressed them cytokine and
17 chemokine receptors that overlapped. And
18 ultimately, they had the costimulatory molecules,
19 and the adhesion molecules necessary to form an
20 immune synapse.

21 Furthermore because an immune synapse is
22 a cell communication event, they have to be in the

1 same spot in order to talk. And so, when we look
2 at spatial RNA sequencing, we could see that there
3 was spatial colocalization of genes associated
4 with CDC1s and CD8 regs within mouse muscle
5 tissue.

6 We are working on protein level with
7 immunofluorescent staining. The available
8 antibodies are not the best for this, but at least
9 on the gene expression level we were able to see
10 this colocalization. And it is more so than other
11 cell pairs in that environment.

12 And then, functionally if we go ahead
13 and talk things into a dish, and I promise those
14 all have stars, and we look at T cell
15 proliferations with APC T cell culture, look what
16 proliferates, and we look at CDC1s with damage
17 associated molecular patterns, and no PMPs, no LPS
18 in this, this is just muscle homogeneous. This is
19 just injury gamish (phonetic).

20 We see that these light red bars which
21 are CD8 regs, they are the ones that
22 preferentially proliferate in comparison to things

1 like these CD4 T cells which are way down here.
2 And so, they actually preferentially proliferate
3 even over the canonical CD8s.

4 So we wanted to then figure out how
5 close this phenotype was to any sort of autoimmune
6 response. So Aditya Joysyula, who is a
7 post-baccalaureate fellow in the lab, ran some
8 bulk RNA sequencing on the AIRE knockout mice,
9 chose these autoimmune mice, as well as BADIS3
10 knockout mice, which lack the CDC1s.

11 And we saw that the BADIS3 phenotype was
12 almost completely recapitulated within that
13 autoimmune phenotype. If we went and looked at
14 gene set enrichment of this -- so this is kind of
15 correlative thing, what about gene function? We
16 saw that in the immunoregulatory interactions. So
17 that kind of immunoregulatory phenotype was also
18 decreased in the BADIS3 knockout, so in the ones
19 that don't have CDC1s in comparison to our
20 wild-type mice.

21 So what this tells us is that the mice
22 that lack CDC1s, which we previously showed can

1 prime CD8 regs, have an autoimmune-like phenotype
2 and decreased immunoregulatory interactions.

3 So how are these doing what they're
4 doing? We had an idea, which is basically
5 regulating pathogenic B cells after trauma. This
6 would line up with some of the work that was done
7 in the infectious disease world on these cells.
8 And so, we're digging into this.

9 When we look at the lymphocyte
10 compartments within the different treatment
11 groups, we can see that the B cell, especially
12 these B2 B cells, so antibody producing B cells,
13 greatly expands. And the more pathogenic
14 environment, this is the pro-wound healing. We
15 hardly have any of them.

16 And then, when we look at the B cell
17 receptor sequencing, we can find some shared
18 sequences. Interestingly, the most prevalent
19 sequence was the most prevalent in each treatment
20 group.

21 So there appeared to be some possible
22 non-pathogenic sequences there. But there were

1 also clones that were specific to the polyethylene
2 treatment group. And mind you, this is just a
3 plastic going in. This is not any proteins or
4 anything.

5 And so, these clones must be against
6 cells. I will put an asterisk there, or
7 microbiome. Of course, other stuff are in there.
8 But this is not against the material we point in.

9 And so, again we were wondering if these
10 CD8 regs could potentially be eliminating
11 pathogenic B cells either by directly eliminating
12 them or eliminating the antigen-presenting cells
13 without T cell help.

14 Now this is working on in vitro killing
15 assays for these, but technically a nightmare
16 because everything just wants to die before we
17 even get to the assays. So we're working on it,
18 and our cellular isn't the best from mouse clubs.

19 But what we could do is go ahead and
20 look back in vivo and try and see if we were
21 getting in the right direction. And so, when he
22 went ahead and looked at B cell prevalence in CD8

1 knockout mice, he could see that there was
2 actually a significant increase in B cells in the
3 injury site with that pro-regenerative material
4 treatment, the one that didn't have any B cells,
5 in the absence of CD8. So this suggests that the
6 CD8 compartment is regulating B cell presence in
7 wounds.

8 So what about other wounds outside of
9 the muscle? All we've been talking about so far
10 has been skeletal muscle. So Aditya went in, and
11 he looked at different single-cell RNA-seq
12 databases.

13 He took our data and this different --
14 and this gene signature, this blue cluster here
15 for the CD8 regs, and could find that gene
16 signature elsewhere in things that are wounds, but
17 not really thought of as wounds.

18 So for example, the mouse myometrium in
19 a preterm birth model. And so, this starts to
20 overlap with pretty much tissue damage. And so,
21 we have these CD8 regs that are present here, and
22 we found them in other data sets. And as I

1 mentioned, we are in the process of collecting
2 local tissue from traumatic injury to go ahead and
3 get some human sample data as well.

4 So ultimately, what I hope I've
5 convinced you of is in this giant network of cells
6 that we've found, we are seeing either direct
7 killing of these B cells, or at least a regulation
8 of these pathogenic B cells by these regulatory
9 CD8s that are primed by CDC1s, and we hope to kind
10 of flesh out the pathway a little bit more and
11 fill in those pieces as we get some more data.

12 And so, big picture, CD8 regs are primed
13 by CDC1s that respond in a polyclonal fashion that
14 they represent a rapid cell tolerance pathway that
15 evolves from traumatic injury.

16 Particulate hydro polymers exhibit
17 exaggerated responses in mice without cell
18 tolerance. And going back to the comment of,
19 don't put plastic in people without cell
20 tolerance, we think of things outside of medical
21 device implants, and I'm sure you all have heard
22 of microplastics.

1 And so, we can start to think about and
2 expand this beyond the traumatic injury sense, and
3 think about those smaller tissue damages, and
4 other potential triggers of autoimmunity that
5 might relate to these more extreme tissue damage
6 scenarios that we generate in the lab.

7 And so, with that I want to give a
8 massive thank you to everyone in the lab. But
9 most of the work done today was completed Dr.
10 Aditya Josyula and Daphna Fertil. Daphna is
11 applying to PhD programs this fall, so snag her.
12 She's fantastic.

13 Also a massive thanks to the alumni from
14 the group, collaborators in NIBIB, others at the
15 NIH as well as their extramural collaborators,
16 especially from the trauma centers.

17 I want to thank the patients from the
18 trauma centers. Without them, of course, the work
19 could not be done. As well as my tenured advisory
20 committee, and my mentors that have never stopped
21 helping me out after my training.

22 So I'd be more than happy to take

1 questions now, or you are welcome to email me with
2 questions later. Thank you.

3 SPEAKER 1: So I come from Pennsylvania.
4 And thank you so much for a really exciting and
5 informative presentation. I was just asking like
6 you said, don't put plastics. But we do see that
7 for knee replacement and always put metals. So do
8 you have any comment on that?

9 DR. SADTLER: Yes. So I said, don't put
10 plastic in people without cell tolerance. So I
11 will flag that. So what I think happens is
12 probably there is either some sort of genetic
13 predisposition or environmental trigger that
14 happens before that event that is what kind of
15 gives that two-hit or multi-hit to lead to
16 autoimmunity.

17 You know, I've got pierced things in my
18 ears, right? So I've got medical devices in me.
19 Most of us have something. So most of the time,
20 it's fine. We're really interested in kind of
21 when it's not, or when it's flipped to not, or
22 when those materials kind of get more fibrotic.

1 So one example is women with breast
2 implants. Some women have complaints of
3 autoimmune-like syndromes when they have breast
4 implants. However, when those implants are
5 excised, those symptoms resolve.

6 And so, that's just being kind of
7 investigated. So it does suggest that it might be
8 a reversible phenomenon. And so, it's certainly
9 much more needs to be evaluated, and I think
10 there's going to be a genetic component.

11 There's going to be multiple
12 environmental components. But if we can figure
13 out the underlying biology, we might have a better
14 chance at treating those people that are dealing
15 with autoimmune-like conditions.

16 SPEAKER 1: So do you think even the
17 external -- again also like for any artificial
18 legs and artificial hands, they would also be
19 having some of the same things?

20 DR. SADTLER: So it depends on the
21 application. And I will say again, all these
22 medical devices have gone through lovely folks

1 like your offices to make sure that they're safe,
2 so I definitely don't want to suggest that they're
3 not safe.

4 With external implants like prostheses,
5 some have integrating -- so for example, bone
6 integrating materials to help mount that
7 prosthetic. And so, this would be applicable to
8 anything that is in contact inside the human body.

9 And again, a lot of us have medical
10 device implants that are fine. It's just a
11 question of those folks that say something goes
12 wrong. And it might be learning from those folks
13 when something goes wrong, which helps improve
14 implants for everybody too.

15 SPEAKER 1: Thank you.

16 DR. VILLA: Thank you. I have a
17 question for you. So when -- I come from the
18 office of blood. When I think about trauma, I
19 think about hemorrhagic shock and transfusion.
20 And there's increasing emphasis on
21 pre-hospital transfusions, even of whole blood.

22 And so, do you think that if you're

1 getting allogeneic blood product in the setting of
2 a traumatic injury that that could modulate some
3 of the immunology that you're seeing?

4 DR. SADTLER: Certainly. So I think
5 anything that modifies that -- and I will say we
6 are working with folks. So while most of our work
7 has been a little bit later on in that kind of
8 regenerative reconstruction phase, we are working
9 with some folks over at Department of Defense on
10 some of those initial kind of traumatic and shock
11 instances to understand a bit more about what's
12 going on there.

13 And so, I'd say yes. Any time you're
14 kind of modulating that a bit, you're going to
15 have some sort of change. I think that there's
16 probably going to be a very overwhelming
17 hemorrhagic response from the host that receives
18 that kind of blood volume, and that hypovolemic
19 response which is going to possibly just take over
20 those early stages.

21 But if there's any sort of persistence
22 with those transfusions and things like that,

1 that's something where it could come into play.
2 But I am not aware of data on that, but that's
3 also outside my field.

4 DR. VILLA: Is there any shock or
5 hemorrhage in the model that you used?

6 DR. SADTLER: We do not, but we're
7 working with some folks that do use shock and
8 hemorrhage models. So our goal is to start
9 integrating some of those variables with it. We
10 avoid hemorrhage right now, so this is just kind
11 of a physical tissue damage.

12 The other thing that we're trying to
13 integrate with this is ischemia. So a lot of
14 times if you've got a large volume metric injury
15 and you've got the blood loss, things are
16 torniqueted and you have a massive ischemia
17 profusion of that.

18 So we also have -- kind of other
19 projects, including an MD PhD student that's
20 working on some of the ischemia re-profusion
21 damage because not only do you have the physical
22 tissue damage, but you've also got downstream

1 after that tourniquet comes off.

2 DR. VILLA: Thank you very much.

3 DR. SADTLER: Thank you.

4 DR. KHOSHI: Any more questions? All
5 right, thank you so much Dr. Sadtler.

6 (Recess)

7 DR. VILLA: All right, now we have three
8 speakers from CBER, Dr. Joseph Jackson, Dr. Kyung
9 Sung, and Dr. Alex Zhovmer. I will give a brief
10 introduction of each, and they will speak one
11 after another. And then, we will have a Q&A at
12 the end.

13 Dr. Jackson is a staff fellow in the
14 Laboratory of Cellular Hematology, the Region of
15 Blood Component Devices, Office of Blood Research
16 and Review at CBER. Dr. Sung is the Chief of the
17 Cellular and Tissue Therapy Branch in the Office
18 of Cellular Therapy and Human Tissues at the
19 Center for -- at CBER. And Dr. Zhovmer serves as
20 a Principal Investigator in the Laboratory of
21 Immune Biochemistry at CBER.

22 Please welcome Dr. Jackson.

1 DR. JACKSON: Okay thank you. So I
2 would like to thank the Center management, and
3 also the Center moderators for inviting me to
4 speak today. I am a staff fellow in the
5 Laboratory of CBER in the Laboratory of Cellular
6 Hematology.

7 And I would like to talk today to you
8 about our evaluation of 405 nanometer visible blue
9 light as a novel pathogen reduction technology for
10 plasma and platelets. And please note my
11 disclaimer here.

12 So in the U.S., there are more than 14
13 million units of blood transfused on estimate
14 every year. And available blood products include
15 ex vivo-stored plasma, platelet concentrate, and
16 packed red blood cells.

17 Standard donor-screening questionnaires
18 exist prior to donating blood to assess the risk
19 of transfusion transmitted infections, and each
20 unit of blood donated in the U.S. is routinely
21 screened for various infectious disease pathogens
22 using FDA-approved assays.

1 The U.S. blood product supply is safer
2 than ever before due to the use of standard safety
3 measures, and although residual risks do exist due
4 to new and emerging pathogens and unexpected
5 bacterial contamination.

6 And so, a proactive approach continues
7 to enhance protection through broad spectrum and
8 activation of pathogens in blood products with an
9 additional layer of safety. And this was termed
10 as pathogen reduction technology.

11 So some of the currently approved or
12 developing technologies that utilize UV light
13 exposure are shown on this slide. The first is
14 the intercept system which uses Amotosalen as a
15 photosensitizer in conjunction with UVA light
16 exposure, which is in this technology, is approved
17 in the United States.

18 The Merisol System, which is approved in
19 several European countries, uses a similar
20 methodology of exposing products to UVA/UVB light
21 in conjunction with chemical treatment of
22 riboflavin.

1 And the third developing technology is
2 the Theraflex System which exposes product TVC.
3 And the concept here is simple, that bacteria
4 viruses in parasites have genetic material, and
5 the replication can be stopped by interfering with
6 their DNA/RNA replication through chemical and UV
7 treatments.

8 Although this does have some unintended
9 consequences -- so for example, one report here
10 demonstrates that in plasma, the use of pathogen
11 reduction technology is associated with a
12 significant decrease in coagulation factor
13 activity. And in platelets, it's been
14 demonstrated that UVA exposure can harm platelet
15 membrane integrity, signaling pathways and the
16 function of micro RNAs.

17 Thus leads us to our rationale of using
18 405 nanometer visible blue light as a novel PRT
19 developed here at CBER. So visible light
20 treatment of blood components could potentially
21 offer a safer alternative to UV light methods
22 while preserving product functions, and see to an

1 activation of pathogens without external
2 photosensitizers are possible, which is in
3 contrast to that of UVA and UVB methodologies.

4 405 nanometer light also has success in
5 bacterial wound healing, and as well as surgical
6 instrument sterilization, and has been shown to
7 activate bacterial endospores.

8 And 405 nanometer light can be used at
9 levels that are lethal to microorganisms without
10 harming exposed mammalian cells. And therefore,
11 based on this rationale, we initiated our
12 evaluation of 405 nanometer light as a potential
13 PRT.

14 So now I want to move onto show some
15 proof of concept data on 405's light -- 405
16 nanometer light inactivation of bacteria, viruses,
17 and parasites. So in collaboration with our group
18 -- a group in the U.K., we demonstrate here that
19 405 nanometer light has antibacterial activity in
20 plasma.

21 So in this experiment, a variety of
22 different bacteria where you see were spiked into

1 plasma at low, medium, or high titer
2 concentrations, and then exposed to blue light, or
3 not exposed as a control.

4 And you can see that for the majority of
5 the bacteria tested, there is a significant
6 reduction, nearly 100 percent inactivation of all
7 bacteria when exposed at a light dose of 360
8 joules per centimeter squared.

9 405 nanometer light has also been
10 demonstrated to be antibacterial in -- when
11 bacteria is spiked into platelets. So this
12 example data here, we spiked 200 milliliters of
13 human platelet concentrate with a low density of
14 staphylococcus aureus, and then treated the samples
15 with light. And you can see we have a
16 dose-dependent reduction in surviving bacterial
17 load.

18 I want to move on now to demonstrate 405
19 nanometer light's inactivation of viruses. So
20 here, we show that 405 nanometer light is
21 effective against HIV-1. So in this experiment,
22 10 nanograms per mill of p24 of HIV-1 was spiked

1 into plasma, followed by treatment with light or
2 not as a control.

3 And you can see here within five donors,
4 our control levels we see high levels of HIV-1
5 p24, which is -- we don't see any differences with
6 just 30 minutes of light treatment. But by five
7 hours of light treatment, we have a significant
8 reduction in p24 levels. And this equates to a
9 dosage of 270 joules per centimeter squared.

10 On a similar note, we also demonstrate
11 that 405 light is effective against HCV. So an
12 HCV sub-culture strain was spiked into both plasma
13 and platelets, followed by treatment with light,
14 and then co-cultured onto Huh-7.5 cells to allow
15 for the subsequent focus from the units to be
16 assessed.

17 And you can see, compare it to the
18 unexposed samples, which are shown in blue.
19 Exposed samples have a significant reduction of
20 HCV levels, even within a dosage of 162 joules per
21 centimeter squared.

22 Now I'd like to move onto discuss how

1 405 nanometer light can inactivate two different
2 parasites. So the first here is -- it's an
3 activation against T Cruzi, which is the causative
4 agent of Chagas Disease.

5 So here on the left, you can see that if
6 we inoculate T Cruzi onto MK2 cells for six days,
7 either after untreated or with light treatment,
8 you can see that there's a significant reduction
9 in the number of T Cruzi parasites in our
10 light-treated group as compared to that of our
11 control.

12 And this has been -- this is also
13 quantified. We demonstrate here that T Cruzi
14 levels are decreased a significant log reduction
15 after five hours of light treatment in both
16 platelets and plasma. And we also have
17 demonstrated that in a mouse model of Chagas
18 Disease, there was no infection 180 days
19 post-inoculation in our light-treated group.

20 And finally, I want to demonstrate 405
21 nanometer light effect -- an activation effect on
22 the Leishmania Donovanii. So in this particular

1 case, RAG2 mice were inoculated with human plasma
2 that was spiked with Leishmania, and either
3 treated or left untreated, and allowed to infect
4 the mouse for seven to ten weeks. Upon which the
5 spleen and cervical lymph nodes were harvested,
6 and Leishmania was quantified.

7 And you can see for the both the spleen
8 and the lymph node organs, the presence of
9 Leishmania is much higher in our untreated control
10 group, and significantly reduced in our
11 light-treated groups.

12 So overall, I've demonstrated that 405
13 light has an activation effect on bacteria,
14 viruses, and parasites. And the mechanism is
15 thought to be due to the -- it's thought to be due
16 to the excitation of photosensitizers within the
17 bacteria or parasite cells, such as porphyrins or
18 flavins. And these allow for the production of
19 reactive oxygen species, which subsequently
20 damage the cellular integrity.

21 But what about cases acellular pathogen
22 cells such as viruses? Well research suggests

1 that flavins or other photosensitizers are
2 associated with viruses from cell culture -- from
3 the actual cell or cell culture media.

4 So here, you can see that when we use a
5 fluorescent indicator on reactive oxygen species,
6 we have very low levels of fluorescence within out
7 PBS negative control, as compared to when we add
8 our hydrogen peroxide which it serves as a
9 positive control.

10 And then, if we look at HCV which was
11 stored in DMEM media completed with fetal bovine
12 serum, we can see that especially in our exposed
13 samples, we have much higher Ross levels.

14 So what about the effect of 405 light on
15 host cells and plasma? So we looked at a variety
16 of different in vitro metabolic parameters for
17 platelets, including platelet counts, but also PH,
18 lactate, glucose, et cetera. But we find that
19 compared to our control, there's no significant
20 difference after exposure to 405 nanometer light.

21 We also looked at levels of platelet
22 activation in platelet apoptosis by looking at

1 molecules called P-selectin and phosphatidylserine
2 expression. And you can, again, appreciate here
3 that compared to our control samples,
4 light-treated samples did not induce significant
5 differences in either P-selectin or apoptosis
6 markers, suggesting that light does not cause
7 platelet cell death nor activation.

8 Another marker that we looked at is
9 platelet function was the ability for platelets to
10 aggregate. So collagen mediated platelet
11 aggregation, again, was not changed between test
12 and control samples.

13 And we've also recently published that
14 405 nanometer light treatment of platelets
15 subsequently causes platelet mitochondria to
16 undergo metabolic reprogramming to endure this
17 light treatment.

18 405 nanometer light also preserves
19 platelets in vivo, survival, and recovery in a
20 SCID mouse model. So in this case, platelet
21 concentrates were spiked with staphylococcus
22 aureus, and were treated with 405 light for eight

1 hours before transfusion into a SCID mouse. And
2 platelet recovery was followed over a period of 24
3 hours. And you can see no significant difference
4 between that of our control and our light-treated
5 groups.

6 And finally, I want to demonstrate that
7 405 light does not cause untoward harm towards
8 plasma coagulation factors. So in this case, we
9 used APTT or PT-based potency assays to
10 essentially measure the potency of individual
11 coagulation factors labeled here.

12 And when we compare it to a
13 characterized reference plasma, you can see that
14 for the majority of the coagulation factors that
15 are studied, there were no major differences in
16 each factor.

17 So in summary, I would like to say that
18 405 light is an effective microbial cyto tool for
19 the tested organisms in ex vivo-stored plasma and
20 platelets, and that they are not harmful to
21 platelets or plasma with regards to the tested in
22 vitro parameters and in vivo SCID mouse model.

1 This light source has the potential to
2 be a pathogen in activation technology for ex vivo
3 platelet component safety, and therefore
4 comprehensive evaluation of this technology is
5 further warranted.

6 There are my acknowledgments. So I
7 would like to thank everyone here. And yes, thank
8 you very much. Thank you.

9 DR. VILLA: Next we have Dr. Sung.

10 DR. SUNG: Hi everyone. Thank you so
11 much for joining today's seminar. So I'm Kyung
12 Sung, and I'm from Office of Cell Therapy and
13 Human Tissue. So my lab is really developing
14 different engineered tools for the manufacturer
15 and characterization of cell therapy products.

16 For today, I'm really going to focus on
17 -- to talk about some of the advancements in in
18 vitro systems that we've developed, with the goal
19 of enhancing the functional assessment of the cell
20 therapy process which are really complex and
21 heterogenous.

22 So let's see if I can figure this. Okay

1 so this slide shows the product. They are
2 regulated by the Office of Therapeutic Product.
3 And as you can see, these OTP really regulates the
4 diverse of the product, that includes gene therapy
5 products as well as the cell therapy product.

6 So when I say the cell therapy products,
7 I typically refer to those highlighted in the red
8 box, the stem cells and stem cell drive the
9 product. And the functionally mature and
10 differentiated cells, such as retinal pigment
11 epithelium cells or chondrocytes and
12 keratinocytes, and also the combination products
13 such as tissue engineered product.

14 So let's look at a typical cell therapy
15 product manufacturing process. So typically, the
16 cells are first isolated from donors, and when
17 donor and patients are the same, the product is
18 autologous and the donor -- and when the donor and
19 patients are different, then they're an allogenic
20 product.

21 And of course, for the allogenic
22 product, the donor eligibility screening and

1 testing is really important to minimize those
2 unwanted cyto trials. So when -- after cells are
3 isolated, they are typically expanded at the
4 manufacturing site. And sometimes they are stored
5 in cell banks until they are needed.

6 And then, it goes through the final
7 formulation. And for tissue engineered products,
8 they are many times seated in certain biomaterials
9 or scaffolds. And then, it's administered into
10 patients.

11 So it looks pretty straightforward and
12 simple process for the cell therapy of
13 manufacturing. But when you actually look at the
14 manufacturing process, people do a lot of testing
15 from the source material, cell bank, and in
16 process testing, and also the testing for the
17 final product to release.

18 So it's a lot of work. And the in
19 process testing and final product release resting
20 is really important to make sure that the
21 manufacturing process is well controlled. And
22 then, people can produce the therapeutically

1 effective product consistently.

2 And the point I want to make from this
3 slide is that FDA is really flexible in terms of
4 the assays that people choose to use, and the
5 type, and the level of testing are really product
6 dependent. And these testing and strategies can
7 be really improved with defenses in the regulatory
8 science.

9 So what are some challenges in cell
10 therapy product characterization? And in my
11 opinion, really one of the main challenges is cell
12 therapy characterization is the lack of the
13 standardized and relevant testing for both in
14 process and release testing.

15 For many cell therapy products, the cell
16 populations are really heterogenous. And you
17 know, there is one image that I just put on this
18 slide that only captures about ten cells. But you
19 can tell that they all look different.

20 So the cell populations are really
21 heterogeneous, and this cell heterogeneity is
22 typically influenced by the donor and tissue

1 sources, and also the, you know, many things that
2 happen during the manufacturing process, and also
3 the recipient condition.

4 And this heterogeneity really
5 complicates the development and the qualification
6 of the characterization assays. And for both in
7 process and release testing, many people still
8 rely on very traditional assays, such as viability
9 measurements and cell proliferation assays, and
10 certain surface marker expressions.

11 And these assays are very meaningful,
12 and they provide really good information on the
13 cell product. But many times, I think that these
14 are not sensitive enough to capture the
15 heterogeneity, and the complexity of this cell
16 product.

17 And because of that, I think they often
18 fail to capture the therapeutic relevance and the
19 functional potency of the manufactured cells. And
20 so, many cell therapy products typically involve
21 multimodal mechanism over action, or many times
22 the mechanism is unclear.

1 So because of this uncertainty, it is
2 really difficult and challenging to develop a
3 quantitative assay that are sensitive enough to
4 detect really small functional differences between
5 the cell batches.

6 So let's say if we are -- if some person
7 is using a testing that is not sensitive, or
8 that's not really relevant, then it is highly
9 possible that, you know, a batch is incorrectly
10 characterized as potent or not potent which really
11 impacts the reliability and consistency of the
12 manufacturers of products, which is a big
13 challenge in cell therapy manufacturing.

14 So these are the challenges that my lab
15 is aiming to address for cell therapy
16 manufacturing and characterization. And as I
17 mentioned on here, there are inadequate markers
18 that are predictive of the cell phase and cell
19 fate.

20 And then, there is typically a poor
21 understanding of how cells interact with their
22 microenvironments. And also there is poor

1 understanding of the cell fate and survival post
2 the transplantation.

3 So through a regulatory science project,
4 our lab is really aiming to develop and improve
5 assessments for the cell therapy products, the
6 characterization, and also potency assessment.
7 And we also aim to identify the product attributes
8 that are more predictive of fate gene
9 effectiveness.

10 And on the next slide, I'm going to talk
11 about an example of the assay that we developed in
12 the lab using micro physiological system to
13 predict the vascular gene and engineering a
14 potential of the mesenchymal stromal cells, MSCs.

15 And this study was led by Dr. Johnny Lam
16 who used to be a scientist in my lab. And that
17 these two studies were published in the two papers
18 that I listed on this slide.

19 So the MSC is a really popular cell
20 therapy cell source for a cell therapy
21 manufacturer because it can be isolated from
22 different tissue sources, and can be directly

1 differentiated into an adipocyte, or a
2 chondrocyte, and also the osteoblast.

3 But it also produced a lot of paracrine
4 factors for immunomodulation and the vessel
5 regeneration, angiogenesis, and vasculogenesis.
6 But then, the challenge is really it uses a
7 multimodal mechanism of action, and it's one of
8 the cell lines that's really poorly characterized.
9 So it's really difficult to have a controlled
10 manufacturing process, and also the well
11 characterized release assays.

12 So for the vessel regeneration, what I
13 have seen so far is people typically use ELISA to
14 quantify the regular secretion from MSCs, or other
15 pro angiogenic factors to confirm the functional
16 activity of these cells for vasculogenesis.

17 And like I said earlier, I think these
18 assays lack sensitivity to detect the small
19 changes in the product release which could lead to
20 the inconsistent outcomes. And also, there is
21 concern about the inconsistent release of the
22 angiogenic markers because of the heterogeneity of

1 the MSCs, which could really complicate the
2 standardization of the quantitative assays.

3 So in this project, we wanted to develop
4 quantifiable but sensitive assays that can really
5 predict this vasculogenic functional activity of
6 MSCs. And we used this really simple micropaedic
7 system to develop these assays.

8 And I have more explanation in the next
9 slide. And the image on the bottom right corner
10 is the vasculatures that are formed within our
11 devices. And we collaborated with a curator to
12 develop this micropaedic system.

13 So we're using this one system for post
14 vasculogenesis and angiogenesis, which are similar
15 but they're not the same. So the vasculogenesis
16 are more of the novel formation of the blood
17 vessel from endothelial progenitor cells. And
18 this mainly all occurs during angiogenesis.

19 And angiogenesis is the SPROUT formation
20 from the existing vessel. And this commonly
21 happens during wound healing and the treatment of
22 ischemic tissue. So to study this vasculogenic

1 and angiogenic bioactivity, we use the same
2 system. But then, we change the loading
3 configuration.

4 For the vasculogenesis, we basically
5 suspend it, endothelial cells in fibro and
6 hydrogel. And then, we injected them into the
7 central channel, which is shown in pink color.
8 And then, we added MSCs on both sides of the
9 micropaedic shown in blue and green. And then,
10 after cold culture, we basically fixed the stain
11 and images of this culture.

12 For angiogenesis, we injected a blank
13 hydrogel into the central channel, and then we
14 coat the one sidewall of the hydrogel with the
15 endothelial cells to create a pre-existing vessel.

16 And then, we added MSCs into the top
17 channel shown in the purple. And then, we looked
18 at the SPROUT generation from the bottom of the
19 hydrogel to the top of the hydrogel. And you can
20 see some representative images of the
21 vasculogenesis and also angiogenesis.

22 And then, we used our lone fibroblast as

1 our positive control mostly because it is well
2 known that the lone fibroblast can induce a new
3 vessel generation when they are cold cultured with
4 endothelial cells. And then, our negative control
5 was endothelial cell only condition without any
6 stroma cells.

7 So for both vasculogenesis and
8 angiogenesis, we did some hydro screenings. So we
9 get the MSCs from different donors at two
10 different passages, so they are manufactured
11 differently. And then, after cold culture we
12 image them, and then we did some automated -- the
13 image analysis.

14 So basically, we quantified 21 different
15 sub parameters that's related to the
16 vasculogenesis and angiogenesis to more quality.
17 And to reduce the dimension, we did the principle
18 components analysis. And then, we found that the
19 principle component 14 captured more than 70
20 percent of variances.

21 So we used PC14 as our vasculogenesis
22 and angiogenesis score. So the higher score means

1 it's more vasculogenic and angiogenic, and with
2 the green is more vasculogenic and angiogenic.

3 So you can see that we were able to
4 identify some MSC preparations that are more
5 vasculogenic and angiogenic compared to other MSC
6 lines. And you can also see the score for the
7 lone fibroblast and also our endothelial cell
8 negative control.

9 But what was interesting from this data
10 was that the cells that were vasculogenic were not
11 angiogenic. So you know, even though we are using
12 the same assays, same cells, the assay really
13 needs to be fine-tuned for the context of use, and
14 the end point that we're analyzing.

15 So for example, the Rb9 cells were very
16 vasculogenic in this analysis. But then, the Rb9
17 shown in the red box, they are not angiogenic. So
18 that was really interesting. And so, then we
19 looked at a little bit more details about the
20 angiogenic SPROUT generation.

21 And then, what we noticed is that there
22 are two distinct phenotypes when you look at the

1 SPROUT quality. There is a T cell dominant
2 morphology that to me looks like more like a cell
3 migration instead of like a real aluminized vessel
4 formation. And there's also the soft cells in the
5 SPROUT generation which is thicker, and it's a
6 more aluminized vessel.

7 So when we see that these SPROUTS are
8 more polygenetic, it's interesting to see that the
9 Rb9 in our -- the heatmap in that analysis, they
10 are not very angiogenic. But then, when you do
11 the SPROUT for further analysis, they actually
12 generate more soft cells on the SPROUT formation,
13 which could mean that these cells actually form
14 like a more meaningful structured angiogenic
15 vessel, the formation.

16 So it's really -- we really have to look
17 at the different -- the endpoint to really
18 understand what's going on in our systems. And
19 then, we also need the base angiogenic cell
20 analysis, and tried to correlate that with
21 vasculogenic and angiogenic bioactivity.

22 And that what we found is that the MSC

1 group with a higher vasculogenic activity
2 maintained the higher baseline expression of
3 fibronectin and that are coupled with a suppressed
4 expression of angiopoietin and IGFBP family, which
5 was really interesting.

6 And when we did the correlation, the
7 fibronectin and angiopoietin are two things that
8 were significantly correlated with the
9 vasculogenic activity. And then, when we looked
10 at angiogenesis, like the cells that we looked at
11 didn't really show good correlation.

12 But then, we detected that there was a
13 statistical significant correlation between HGF
14 expression and then the degree of the soft cell
15 dominant angiogenic expression. And so, the
16 angiogenesis is a more quality system which is
17 quite important. And then, we didn't notice any
18 significant correlation with the T cell dominant
19 angiogenesis.

20 So in conclusion, we think that we have
21 developed a high throughput of vasculogenesis and
22 angiogenesis bioassay for measuring the MSC

1 bioactivity. And both vasculogenesis and
2 angiogenesis subbase consistently demonstrated
3 patterns of the heterogeneity of different MSC
4 preparations.

5 And we noticed that in general, the
6 early passage MSCs exhibited greater bioactivity
7 and produced more robust and urbanized vessel
8 formation. And HGF emerged as maybe a potential T
9 regulator for MSC vascular formation.

10 And the difference that we observed
11 between Rb9 high vasculogenic for lower angiogenic
12 activity underscored the importance of selecting
13 the right assay. It sounds like the right cells
14 for the intended use.

15 And really the purpose of developing
16 these assays was to enable the development of more
17 reliable and functionally relevant assays for
18 ensuring the quality and back to back consistency
19 of MSCs or cell therapy in clinical trials.

20 So with that, I'd like to acknowledge my
21 lab members. Even though I only talked about Dr.
22 Lam's work today -- but we have many different

1 projects going on in the lab. But hopefully next
2 time I can introduce those as well. And also
3 acknowledge our collaborators. Thank you so much.

4 DR. VILLA: Thank you, Dr. Sung. Next
5 we have Dr. Zhovmer.

6 DR. ZHOVMER: Today I'm going to talk
7 about use of advanced cell count tissue systems
8 (phonetic) for immunotherapy testing. And we're
9 working on a food allergen, but that bond cell
10 count tissue systems as you might think may be
11 used not only for food allergen.

12 Here we have an example of the system we
13 use to analyze the material of cancer cells. And
14 in the blue, you see there is a part that is made
15 of a coagent. And it helps to delineate. We're
16 optimizing base material, and then delineate the
17 material of cancer cells. I don't have to pursue
18 that cancer's important by why food allergens are
19 important, and why we think the system also is
20 important to study the food allergens.

21 So the food allergen is -- and in
22 general, an allergen is one of the most common

1 global pathologic conditions, and it affects up to
2 50 percent of the population in Europe.

3 And in people, more than half will
4 experience anaphylaxis at least once in their
5 life. Yes anaphylaxis is not very fatal, and only
6 0.2 or 2 percent of people will die because of
7 anaphylaxis. But what it tells you is that you're
8 almost guaranteed, if you have an allergic
9 experience, a near death state.

10 So let's narrow down and go back to food
11 allergies in the United States. In the United
12 States, there are approximately 15 to 30 million
13 of Americans, or ten percent of the population,
14 who have a food allergy. And food allergy is
15 recently in the top three causes of anaphylaxis,
16 along with drug and venom-mediated anaphylaxis.
17 And it's disproportionate in kids because kids are
18 responsible for 80 percent on anaphylaxis. And in
19 part because it's very hard to control yourself at
20 this age.

21 And you can see the blue line, the most
22 common causes of food allergy and anaphylaxis is

1 milk, eggs, soy, sesame seeds, wheat, nuts,
2 peanuts, fish, and shellfish. And for kids, it's
3 really hard to avoid this allergenic -- these
4 allergens. And this blue line puts the cause up
5 to 90 percent of anaphylaxis.

6 So for this reason, there is a push to
7 develop a therapy for allergies. And recently, we
8 had only the avoidance, Epinephrine, as a way to
9 treat this state. And additionally, we can think
10 about the use of antihistamines.

11 But recently, we go to more options, and
12 I can mention the products that can be used for
13 desensitization of monoclonal antibodies. And
14 also, some promising approaches, and a few in cell
15 and gene therapy.

16 And these approaches, they can be used
17 as monotherapy, and they also can be used in a
18 combination which is going to complicate the
19 regulatory review of this product.

20 And it's also too hard because I am a
21 CMC reviewer. From a CMC reviewer, it's hard to
22 help to review the development stage of a product,

1 though we are provided with evidence that support
2 the safety and effectiveness of a therapy.

3 So the major problem for development
4 process is that it's very long, it's risky, and it
5 is expensive. So ideally, we'd like to make it as
6 safe and risk-proof as possible because it might
7 take you five to ten years, and then it's, okay it
8 doesn't work. I've got to move.

9 And how it usually starts. It usually
10 starts in vitro. On the left, you can see there
11 is a picture of a mouse, but it's often seen in
12 clinical studies. Whereas the picture of the
13 human is the symbol of clinical studies, but it
14 all starts not in mice or people. It all starts
15 in a cell -- in the lab in a cell culture dish.

16 And we assume that this is going to
17 work. That hours from the dish is going to show
18 exactly the same outcome as a result from the mice
19 and as a result in vivo. And this is a big
20 assumption.

21 What we have to do because these cell
22 culture experiments are so cost expensive is so

1 simple. So this is the development of where
2 therapy starts. And I'm going to show you an
3 example because it's always good to show examples
4 from your personal experience as well.

5 We came up with -- we have a great idea.
6 Let's develop a therapy. And let's do this
7 exercise, and let's try to make a therapy for food
8 allergies. So how do food allergies start?

9 This is immunology 101, and it starts
10 with the presentation of an antigen, or allergen
11 in this case. Two of the immune cells and the
12 adrenergic cells. The adrenergic cells are going
13 to present this allergen in the form of epitopes
14 to T cells.

15 And T cells and B cells are going to
16 interact with whichever source under a specific T
17 cell or under a specific B cell are going to give
18 a license to B cells to convert into the plasma
19 cells and start making the antibodies.

20 For vaccines, it's very good if you can
21 stimulate an immune response, and particularly if
22 an individual one responds. But sometimes, it can

1 also stimulate an IgG response, and that's also
2 good if you're talking about, like, protection.

3 But sometimes, this response is raised
4 against a particularly harmful antigen. So I'm
5 talking about food antigens, which we'll call
6 allergens. And in this case, the plasma cells
7 start to produce the IgG antibody against milk,
8 eggs, shrimp, whatever.

9 And these antibodies are going to be
10 secreted from plasma cells by going to bind the
11 muscles, and other stimulations. Basically you
12 are going to your favorite restaurant, or you're
13 going to give lunch to the kids, and they will
14 have a consumption of an allergen.

15 The muscles are going to trigger the
16 allergic reaction. But an allergic reaction, it
17 can be mild, or it can be severe in the form of
18 anaphylaxis. It all depends on the time or the
19 dose, and other factors.

20 But this is the cause of the problem.
21 We have cells that are making the antibodies. And
22 let's think about very recent advances in cell

1 therapy. There we can deplete the pathogenic
2 cells.

3 And the cell therapy is usually used for
4 cancer where we can use the CAR cells to get rid
5 of the cancer cells. So let's think, can we get
6 rid of pathologic B cells, but then make them into
7 antigen antibodies?

8 And here, we're giving an example where
9 we designed the NK-92 cells outside the toxic
10 cells. So we gave them a receptor, the CAR
11 receptor. So a genetic-modified cell line that
12 expresses ovalbumin in a context of a CAR
13 receptor.

14 And we simulated a target. And the
15 target is a human monocyte which has a receptor
16 for antibodies. In this case, we used Anti-OVA
17 IgG antibodies because Anti-OVA IgG antibodies is
18 less characterized. But the idea is, if our
19 approach works, then in that case it's going to
20 find and kill biogenetic specific cells.

21 Okay. So the green cells are CAR cells,
22 and the red cells are target. And the work -- and

1 here it goes. It's there. So this approach
2 potentially works very nice in vitro. And it's
3 very good to learn a number of mistakes about
4 them. We say, okay it's works in vitro. What
5 about in vivo?

6 So here you can see that there is a
7 spleen that we extracted from a mouse, and there
8 are some OVA-specific IgG-1 cells in the spleen.
9 I can seem them in the white. In the intestines,
10 there are some OVA-specific IgG cells, but they're
11 making pathogenic antibodies.

12 And then, we inject our CAR cells in the
13 mice, and we got this much OVA-sac that lasts this
14 little. So we got a very modest effect from the
15 therapy, but this is very effective in vitro. But
16 in vivo, it doesn't work.

17 And it's not too surprising because this
18 is a common oculus cue of the CAR approach because
19 it's very good for blood counts, and it's not so
20 good against the cancers in the folate tissues.

21 In the case of an allergen, most of the
22 targets are embedded in solid tissues. So we've

1 got to go for a way to allow ourselves to invade
2 the folate tissues. And CAR cells are usually not
3 very good in this.

4 So how do you approach this? And here
5 we did a study that was not specifically designed
6 for allergies, but was designed to answer how we
7 can stimulate invasive CAR cells.

8 And if you don't know how, a good study
9 for them is a screening. You have a library of
10 compounds, and you can screen dozens, and
11 hundreds, and thousands of them. And you're using
12 a system which can show you using primary joined
13 hue. We use the parameter of the cell speed. So
14 we use the T cells, and we use the cell speed as a
15 readout, and we tried the different compounds.

16 So on the left top, you see the cell
17 speed. It is tested with the control cells in a
18 control dish, so in the regular cell culture
19 experiment. And in different conditions, the
20 regular speed is about ten microns in a minute.

21 So then, with this, this is Compound 1,
22 and this is just the result. And you see that the

1 speed is about 5. When we tested Compound 2 at
2 another speed, it was about 15 greater when
3 increasing the speed of the cells.

4 So then, we're going back to the mouse,
5 and we do see the results are exactly the
6 opposite. The green compound is actually going to
7 decrease the speed of CAR cells in tissue.

8 In the red compound -- but we initially
9 think, okay it's a negative. Actually it does
10 increase the speed of the cells in tissue. And
11 this result shows us how misleading it can be in
12 vivo experiments when they're trying to translate
13 results to in vivo.

14 And we could avoid this if we use the
15 system that has not just a flat surface, but has a
16 texture that can mimic the structure of a tissue.
17 And here on the right, you can see the use of
18 dishes, none are textured.

19 And in this case, Compound 1 is truly
20 positive, and it does increase with speed of CD8
21 cells. And Compound 2 is going to be a negative
22 where you can see the decrease of migrations. So

1 this is just like one aspect where the use of cell
2 count tissue system got a little bit more which we
3 can use to help us to get better results.

4 And again, why don't we just use the
5 mice? We can't just use the mice because mice a
6 very expensive, and you cannot start the screening
7 with 10,000 using mice. And the second point is
8 ethical issues.

9 We cannot do 10,000 experiments with a
10 group of mice who have to reduce. So there is a
11 need, an alternative approach to animal testing.
12 And some of the systems can be used as
13 substitutes.

14 So I already showed you this, and this
15 is a system of texture. In this case, this is a
16 very similar of what I drew because it's extra,
17 and it sits on the surface of a regular plastic
18 dish. But it does give cells the extra, like in
19 tissue.

20 On top of this, we also do the
21 embolization of mechanics of the system. And
22 mechanics is important. I already showed you why

1 the texture is important. But mechanics is also
2 important.

3 And it's especially -- it's known for
4 people who work with stem cells because if you use
5 a soft gel for culturing stem cells, you will get
6 adipocytes. If we use something stiff like
7 plastic, you will get chondrocytes and
8 osteoblasts.

9 So the mechanic properties of the
10 environment are going to effect the expression of
11 genes in a different way, and it will get a
12 different outcome of an experiment with cultured
13 cells.

14 Another aspect we are trying to study is
15 the effect of a confinement on the behavioral
16 cells. And in this case, this is a step from the
17 system tissue-like. We'll use the granular gel.

18 And in addition to -- we can study
19 interaction of cells, we have come across
20 different cell types. I can see in this case,
21 this gel was also -- it also contains the
22 fibroblasts. But somehow, we're going to mimic

1 the extracellular matrix with a cellular
2 component.

3 And the last piece that we were trying
4 to work on, this is the DNA augmentation of gels.
5 And why it's important, it is important because
6 this technology allows us to change experiments as
7 we go. So this is the basic idea.

8 But instead of just putting the antigen
9 or problem that presents like a color gel, the
10 fibronectin, whatever is used to activate the
11 immune cells in the experiment, instead you are
12 making an intermediate part that is made of DNA.

13 And using this DNA, what can you do?
14 You can change the experiment as it goes. It's
15 probably not as important of cultured cell lines,
16 but certainly important with primary cells. The
17 amount of samples is limited.

18 Although a human-like sample -- this is
19 a sample, V-1. You cannot go back and collect the
20 sample again. But in this experiment, you can do
21 it in a what if fashion. So you can test Ligand
22 1. You can test Ligand 2 and Ligand 3 and Ligand

1 4. And then, based on the exchange by -- a
2 DNA-based exchange of a Ligand that is achievable
3 in and of its system.

4 And as a summary, while we are working
5 on the alternatives to animal testing. With this
6 is relatively simple. They can be inexpensive as
7 they are. As a matter of fact, they are skeletal
8 so you can think of this. It doesn't require you
9 to do the large animal experiments for all the
10 screening.

11 And they also can mimic certain aspects
12 of in vivo-like behavior. And our lab develops
13 this advanced cell culture system. And if you
14 want to develop a new therapy or improve testing
15 of an existing product, we will help, and we will
16 be happy to help you and to talk about this. So
17 think about this as a Lego. They are from a
18 simple block. You can build a whole city of Lego,
19 like anyone. And this is what we're trying to do
20 in our lab.

21 And this is my acknowledgments. So to
22 Dr. Mar (phonetic), who is the scientist in our

1 lab. So Ashley, she's our student and also the
2 two collaborators. To Dr. Dandema (phonetic) from
3 Penn State University, Dr. Sheheil (phonetic),
4 also from Penn State, and Dr. Afonin (phonetic)
5 from University of North Carolina. Thank you very
6 much for your attention.

7 DR. VILLA: So thank you, all three of
8 you, for a wonderful talk. I'd like to invite all
9 three of you up for some questions and answers.
10 Thank you. So if anyone has any questions in the
11 room, please feel free to use the microphone. Dr.
12 Elkins has some questions from online. Do you
13 want to go ahead and kick us off?

14 DR. ELKINS: Sure. The first one is for
15 Joe. When applied, is the light pulsed or
16 constant?

17 DR. JACKSON: Hello. Yes the light is a
18 constant source of the duration of the light
19 treatment.

20 DR. ELKINS: Thank you, and the next one
21 is for Kyung. I may have missed this, but are you
22 using a totally defined medium in your vessel chip

1 cultures? And if not, could serum or other
2 biological medium components cause variability?
3 That's the first question. And then, there's
4 another one.

5 DR. SUNG: No. We just use the
6 commercially available media, and then they're our
7 priority, the supplements that they recommend to
8 use. And we haven't used the -- we're really well
9 controlled in media. But I agree that that could
10 -- that's another factor that could really -- the
11 variability, yes.

12 DR. ELKINS: Thank you. And then, have
13 you compared the performance of the chip using
14 cuvettes versus ECs from another tissue source?
15 It may be irrelevant, but it would be interesting
16 to know.

17 DR. SUNG: So for those vasculogenesis
18 and angiogenesis -- so we only use the UVAS
19 because we wanted to keep the endothelial cells
20 consistent -- constant over our experiment.

21 And we -- I think we used, you know,
22 iPSC drives for endothelial cells once, and we got

1 very different results. So we decided to speak to
2 UVAS for our initial study.

3 DR. ELKINS: And that's all I have
4 online.

5 DR. VILLA: I have more questions here
6 in the room.

7 SPEAKER 3: Okay. So this is for Kyung
8 also. So the chips that you presented were very
9 complex and the outcomes from your study was, you
10 know, very elegant with a lot of outcomes.

11 So do you envision tests like this to be
12 used as a, you know, a release or test? Or are
13 you looking for more biomarkers that can kind of
14 streamline the process?

15 DR. SUNG: Yes. So it could go to --
16 either way. This is really a simple assay. And
17 if there's the time, then you know, if the assays
18 are all characterized, then it can be used for
19 release testing if there's the time to do three
20 days of cold-culture, and then a few extra days
21 for this high-content imaging analysis.

22 But you know, I presented that we are

1 also trying to identify the gene markers that
2 correlate with vasculogenesis and angiogenesis.
3 And that's the other way. We're actually focusing
4 more on that direction, that we really want to
5 identify some markers that, through these assays,
6 that we could use potentially use for the release
7 testing.

8 DR. VILLA: Next.

9 SPEAKER 1: Yes. In the 405 nanometer
10 light for the passage density removed -- so do you
11 think that if the pathogen has the porphyrins or
12 other photosensitizers, it will be more effective
13 than those which do not have them, like viruses?
14 So do you prefer it for more to those pathogens
15 which are having photosensitizers?

16 DR. JACKSON: Thank you for the
17 question. Yes it's actually interesting because
18 we -- in the case of our HCV study, we see
19 inactivation occur in much less time than in other
20 scenarios.

21 So to say that 405 light may be more
22 effective due to the presence of porphyrins versus

1 that of viruses that may not have it, it suggests
2 that viruses do have some type of photosensitizer
3 present there, and it still elicits an effect.
4 And at least in the case of that particular virus,
5 a faster effect.

6 SPEAKER 1: But did you see any
7 porphyrins of any photo products? Like with you,
8 we see (inaudible) or something like that.

9 DR. JACKSON: I don't. Other than the
10 production of reactive oxygen species, I don't
11 think our lab has overlooked that deeply into
12 that.

13 SPEAKER 1: Thank you.

14 DR. VILLA: I also have some questions
15 for the speakers. So Dr. Jackson, so in blood
16 components, when you treat them, they may have
17 different amounts of pigment in the plasma from an
18 individual. How much donor to donor variability
19 do you see in the susceptibility to blue light?

20 DR. JACKSON: In terms of the platelets?

21 DR. VILLA: In either platelets or
22 plasma, if there's pigment that could interfere

1 with the process. Has there been much difference
2 depending on the source of the plasma?

3 DR. JACKSON: That's a good question.
4 There is definitely donor to donor variability,
5 which is I think, pretty common for many platelet
6 or blood-product samples. But when compiled
7 together, or overall, there doesn't seem to be
8 major differences between the donor.

9 DR. VILLA: Thank you. And a question
10 for Dr. Zhovmer. So it is very interesting how
11 the mechanics of the culture system can affect it.
12 Have you looked at cold culture systems with
13 multiple cell types, and does that accentuate
14 those differences between regular in vitro
15 cultures and just some more specific models?

16 DR. ZHOVMER: The answer is yes. We're
17 investigating that cold culture and different cell
18 systems. And usually, it's a migration of T cells
19 within the layer of the endothelial cells or
20 epithelial cells because we're interested in the
21 -- I know just basically for interaction to which
22 extent they interact.

1 And yes, there is a difference depending
2 on how you culture your cells. And I think it's
3 enormous for both endothelial cells and for
4 epithelial cells like the integrity of beta AIRE.

5 DR. VILLA: Thank you very much, and a
6 question for Dr. Sung. So are there plans to
7 compare this to other release criteria directly,
8 clinically, for the cellular products?

9 DR. SUNG: Yes that's a really good
10 question. Yes I mean, we really want to compare
11 these to other traditional assays, such as you
12 know, bariatric expression or even in vivo assays.
13 People use the mouse model to measure the MSC
14 vasculogenesis assay, so we plan to do that if the
15 resource is available in the future, yes.

16 DR. VILLA: Thank you very much. Any
17 other questions in the room? All right, Dr.
18 Elkins. We're okay online?

19 DR. ELKINS: We are okay online.

20 DR. VILLA: All right. So with that, I
21 think we have a short break now. At 3:30, Dr.
22 Jennifer Doudna will be giving our symposium

1 keynote address. This will be a virtual
2 presentation. It will be broadcast here in the
3 room and online to all of our online participants.

4 We're all really looking forward to it
5 hearing that talk from Dr. Doudna. So I'm going
6 to close this session, and say thank you to our
7 keynote speakers, our three speakers on the stage
8 here. It's a really interesting look at advanced
9 manufacturing and analytics, and we really
10 appreciate everyone's time and attention today.
11 Thank you.

12 DR. ELKINS: Yes. And for those of you
13 in the room, we'll be broadcasting here. We have
14 some light refreshments, and we will just switch
15 over to the virtual presentation. Come back and
16 join.

17 (Recess)

18 DR. ELKINS: So our final speaker for
19 the day truly needs no introduction. Dr. Jennifer
20 Doudna is at the University of California Berkeley
21 where she's been for quite some time. Her
22 original undergraduate degree was from Pomona

1 College, followed by time at Harvard both as a
2 graduate and as post-doctoral fellowships.

3 But her career has been devoted to
4 studying nucleic acid biology, particularly RNA
5 biology, and that led her to one of the more
6 remarkable pieces of biology uncovered in recent
7 years, that of repeat palindromic syndromes that
8 the bacteria use to defend themselves against
9 viruses.

10 The CRISPR-Cas technology went from
11 being an astounding piece of basic biology to
12 being an incredible tool for medical treatments in
13 work time, and that is her subject for today. So
14 without further ado, please take it away, CRISPR
15 therapies. How can genome editing become a
16 standard of care? Thank you.

17 DR. DOUDNA: Hello everyone. Thank you
18 so much for that kind introduction. I am thrilled
19 to have a chance to talk with you all today about
20 CRISPR therapies, and something that I care deeply
21 about, along with my colleagues. How can genome
22 editing become a standard of care? It's something

1 that I know you all are deeply committed to as
2 well.

3 I want to start with a few disclosures.
4 I am a founder of a few companies that are working
5 in this space. I also work as an advisor to a
6 number of companies that are excited about genome
7 editing in different areas. And I'm a director at
8 three of these companies.

9 So our story really begins with one of
10 the most ancient aspects of biology, namely how
11 organisms defend themselves against viruses.
12 Probably ever since there was life on our planet,
13 there were viruses trying to take it over.

14 And this is a picture of bacteria
15 getting infected by phage, bacteriophage, and they
16 face the same challenge we face as humans or as
17 does any other organism that has to fight off
18 foreign DNA that's getting injected in a form of a
19 virus, or coming in through other means.

20 And in bacteria, there is an adaptive
21 immune system known as CRISPR. We got started
22 studying this back in around 2007 after

1 conversations with Jill Banfield at Berkeley
2 alerted me to the presence of a likely RNA-guided
3 adaptive immune system that was found in many
4 different kinds of microbes.

5 And through fundamental science, we
6 investigated how this works, and that actually led
7 to an understanding that there are enzymes that
8 are at the heart of these adaptive immune systems
9 that function as RNA-guided DNA cutting proteins.

10 And I'm showing you two examples here.
11 Cas-9 on the left, which is the enzyme that
12 Emmanuel Charpentier and our students began
13 investigating as part of a collaboration. And
14 then, on the right, a protein called Cas-12 that
15 is representative of another type of CRISPR-Cas
16 RNA-guided endonuclease.

17 These are enzymes that have the ability
18 to recognize sequences of DNA, typically 20 base
19 pair stretches through RNA base pairing that
20 recognizes that sequence, and then they trigger a
21 double stranded DNA break.

22 And it was by investigating that

1 fundamental biology that we realized that this
2 system which operates so effectively in bacteria
3 to target and cut foreign DNA could be repurposed
4 as a programmable system to introduce targeted
5 changes in the genomes of organisms, like our own
6 and like plants, that are -- have a much more
7 sophisticated system of recognition of damaged
8 DNA, and can thereby introduce targeted changes to
9 DNA sequences after a double stranded break is
10 introduced.

11 And one of the great things about CRISPR
12 is that these proteins turn out to be very robust
13 platforms for all kinds of associated
14 technologies. So you may be aware that nowadays,
15 you know, this is -- we're now at about 12 years
16 out from the original publication about how
17 CRISPR-Cas9 works as an RNA-guided endonuclease.

18 And now, it's possible to use this same
19 protein for making targeted changes to individual
20 nucleotides in DNA, changing the transcriptional
21 profile of cells by targeting genes for up or down
22 regulation of gene expression, and by inserting

1 new sequences of DNA after a double stranded break
2 or even after a single stranded break.

3 And so, these have become work horses
4 for researchers around the world. And as we'll
5 talk about today, increasingly they are being used
6 as actual therapeutic modalities.

7 If you're curious about all of the
8 different flavors of CRISPR-Cas9 proteins that are
9 out there, and all of the different ways that
10 they're being utilized, I refer you to CasPEDIA.

11 This is a website that we put together
12 at the Innovative Genomics Institute that captures
13 all of the enzymes that are currently being
14 employed for research and for various kinds of
15 application in genome editing.

16 These proteins, as you can imagine, are
17 under very active development. So this, we work
18 hard to try to keep this website and the database
19 behind it up to date. And I credit many graduate
20 students and professors at different organizations
21 around the world that have been part of this
22 CasPEDIA team, and worked actively to keep it up

1 to date and useful.

2 So I want to turn now to thinking about
3 how we actually use CRISPR as a therapy. And one
4 of the things that's very exciting in this field
5 is that along with all of the fundamental science
6 that's been conducted, starting very early in the
7 field, right after the publication of the work
8 that Emmanuel Charpentier and I conducted on
9 CRISPR-Cas9 and published in 2012, scientists were
10 already thinking about how to use this tool to
11 cure genetic disease.

12 And I want to turn to sickle cell
13 disease now as a great example of a disease that
14 had been very well defined in the field. We had a
15 very good understanding of the biology of sickle
16 cell disease. And that meant that there was an
17 opportunity to use a genome editor, like CRISPR,
18 to have a meaningful effect on patients.

19 So just as a quick summary of sickle
20 cell disease, this is a disease that results when
21 a patient inherits two copies of what's called the
22 sickle cell gene. This is a gene encoding the

1 beta-globin protein.

2 It's a protein critical for
3 oxygen-carrying in the red blood cells of our
4 bodies. And a single base pair mutation in this
5 gene creates a so called sickle form of the
6 protein that gives rise to classic sickled red
7 blood cells that can clog arteries and cause organ
8 failure, and certainly great distress in patients.

9 So this is an example where having the
10 ability to manipulate DNA sequences in a precise
11 fashion can have a meaningful impact on patients
12 by literally correcting the disease-causing
13 mutation. Or as I'll show you, making a different
14 change in DNA that can override the effects of
15 this mutation.

16 And so, you know, the interest in sickle
17 cell disease goes back many decades to a time when
18 there were clearly patients around the world that
19 had a defect in their ability to carry oxygen in
20 their blood.

21 And so, scientists investigated the
22 biology of this system. And in research that was

1 done by Stewart Yorkin at Harvard, and many other
2 laboratories, it was discovered that normally
3 during human development there is expression of
4 proteins Alpha and Gamma, gamma globin genes, that
5 form a fetal form of hemoglobin that leads to a
6 change.

7 There's a switchover in gene expression
8 that happens right around birth in which the gamma
9 globin gene, the fetal form of hemoglobin, is
10 repressed. And in turn, the beta-globin, or adult
11 form of hemoglobin, is activated. And so, you can
12 see that change occurring here.

13 In patients that have two copies of the
14 sickle cell form of betta globin, however, they
15 begin to experience sickle cell disease symptoms
16 right around here, right around three months after
17 birth.

18 And so, in investigating the biology of
19 this process and how this gene, this switch in
20 gene expression occurs -- kind of a fascinating
21 example of gene expression that gets altered over
22 time in a very controlled fashion normally, it was

1 discovered that a transcription factor called
2 BCL11A is responsible for repressing fetal
3 hemoglobin expression in normal cells.

4 And by determining how this works, and
5 where this transcription factor and the regulatory
6 elements that control its production in cells is
7 actually located, when CRISPR came along, it was
8 possible to interfere with the expression of that
9 BCL11A transcription factor by making a targeted
10 change to the genome in a region that's normally
11 responsible for enhancing its expression.

12 And I'm showing you here just, you know,
13 a cartoon of the design of an RNA-guide molecule.
14 This purple molecule here that can recognize a
15 sequence in the BCL11A enhancer region of the
16 genome, make a targeted interruption to this
17 sequence, that in turn leads to repression of
18 BCL11A. And as a consequence, a continuation of
19 fetal hemoglobin expression in people even after
20 birth.

21 And so, this is a change that can
22 suppress the effect of the sickle cell mutation by

1 producing fetal hemoglobin that can effectively
2 serve as an oxygen carrier in red blood cells, and
3 override the effect of the mutation.

4 And on the right is a close up of the
5 details of this. And I'm showing you this in part
6 because it's really a great example of how having
7 a fundamental understanding of how CRISPR-Cas9
8 works, in which it recognizes a 20-nucleotide
9 sequence in DNA.

10 So this is the site where the guide RNA
11 comes in in base pairs, but importantly must be
12 right next to a small motif in the DNA known as
13 the PAM sequence that allows the DNA to open up
14 and enable RNA base pairing.

15 This is all essential to the function of
16 Cas9 as a genome editing molecule. And this is
17 part of the fundamental work that was done in your
18 laboratories with Emmanuel back in the beginning
19 of our collaboration.

20 So that understanding was then used to
21 initially treat patient-derived cells in a
22 laboratory, then in animal models, sickle cell

1 disease, and eventually in patients. And today,
2 this is how the therapy is actually implemented.

3 It's possible to remove blood stem cells
4 from patients, add the CRISPR-Cas9
5 ribonucleoprotein, or RNP, to the cells in a dish
6 in a lab, and to induce gene editing along the
7 lines of what showed you where the BCL11A
8 transcription factor can be disrupted, and thereby
9 restoring the production of hemoglobin in these
10 cells.

11 And so, once the cells are edited, they
12 can be validated in the lab, and then reinfused
13 into patients using a process that is effectively
14 a bone marrow transplant. And this is not a
15 fantasy. This is in fact a therapy that is -- has
16 been shown to be highly effective in patients in
17 clinical trials.

18 And in December of this past year, the
19 FDA approved this therapy for treating sickle cell
20 disease in a moment that I think was -- felt like
21 a real triumph for everybody. All of us in the
22 field, from fundamental researchers to clinicians,

1 to of course patients that could benefit from
2 this.

3 And so, this has been incredibly
4 exciting for me personally, and for all of our
5 students, all of our lab members that have worked
6 so hard to figure out how these enzymes work, and
7 to ensure that they can be used in a way that will
8 be safe and effective at treating disease.

9 And I have to point out that this
10 therapeutic enzyme that is approved by the FDA is
11 in fact the identical enzyme that Emmanuel
12 Charpentier and I began researching more than ten
13 years ago. And so it's, you know, a real sort of
14 poster child for the value of fundamental science
15 and where it can lead to real world applications.

16 So along with the celebration is also
17 kind of reality check, a realization that there's
18 a lot more work to do, and that's because right
19 now the cost of this therapy is high. It's about
20 \$2 million a patient. And a lot of that comes
21 from the fact that the molecules that are being
22 used in these therapies are expensive to make.

1 So the manufacturing costs are high.
2 And importantly, technologically the delivery of
3 these enzymes into the cells where they can do
4 their work and have a clinical effect is
5 challenging. And so, this is really the kind of
6 classic delivery challenge.

7 And I want to turn to our attention to
8 now is that I wanted to tell you a little bit
9 about some of the work that we're doing to try to
10 address this delivery challenge. So I'll talk
11 about research that is currently unpublished, so
12 it's new work in the lab that we're doing with a
13 variety of collaborators.

14 And then, I want to tell you just at the
15 end a little bit about what the Innovative Genomic
16 Institute is doing to work closely with folks at
17 the FDA that will ultimately be able to, we think,
18 change the pipeline for drug approvals, ensuring
19 that we have drugs that are safe and effective,
20 but can also be brought hopefully to patients in a
21 faster timeline that will make it easier to treat
22 people, especially those suffering from a rare

1 disease.

2 But let's start with how we deliver
3 CRISPR-Cas9 as a genome editing technology. We
4 think that there's a lot of value in delivering
5 assembled ribonucleoproteins for in vivo genome
6 editing.

7 And so, this is a little bit different
8 than, you know, using say a virus to deliver
9 genome editors or any other kind of molecules
10 where you have to have the viral genetic material
11 encoding the molecules that you're delivering.
12 And it's also distinct from using lipid
13 nanoparticle where we're delivering messenger RNA.
14 For example, the COVID vaccine is an example of
15 that.

16 We're really talking about something
17 different from either of these types of modalities
18 where we're thinking about how to deliver a
19 preassembled protein with this guide RNA that will
20 be ready to go when it gets into the cell, and has
21 to go to the nucleus, and make changes to DNA.

22 And the advantages of this are that,

1 first of all, the editor is preassembled. We're
2 not waiting for protein expression, or RNA
3 transcription, and then assembly of the editor.
4 We add it to the cells in a preassembled state so
5 editing can happen fast.

6 And secondly, it's transient. So that
7 means that instead of being expressed over the
8 long term through a virus that persists over time
9 in cells, or messenger RNA that might stick around
10 for a while in cells, we're in a situation where
11 these entities, these preassembled protein RNA
12 complexes get turned over typically within about
13 24 hours. That's about the half-life of these
14 particles in cells.

15 And that means that there's a limit to
16 the editing capability of these particles. And as
17 a result, we can limit any kind of off target
18 editing that might happen over time.

19 And thirdly, the components are
20 recombinant. And so, in principle, they could be
21 produced in large quantities in a cell-free
22 setting, again analogous to what was done for the

1 COVID vaccine. And that could, in principle,
2 reduce the cost and make it a lot easier to
3 produce these in facilities that are maybe less
4 specialized over time.

5 So in thinking about approaches to RNP
6 delivery, there are several ways that various
7 groups have explored to do this. Electroporation
8 is a method that's currently being used. So when
9 we talk about the FDA approved CRISPR therapy for
10 sickle cell disease, you might wonder, well how
11 are those cells actually being edited?

12 And the way that works right now is the
13 cells removed from patients are being
14 electroporated with preassembled protein RNA
15 complexes that can do the editing. So it's type
16 of an entity here.

17 We also know that it's possible to add
18 peptides onto the end of the protein that's being
19 used for this in this kind of a capacity that give
20 the protein cell-penetrating properties. And so,
21 that's the CPP, cell-penetrating peptides, that
22 can allow these proteins to access cells, and to

1 get into the nucleus more efficiently.

2 The challenge there is at least for now,
3 these are not programmable in the sense that we
4 don't have ways to control which types of cells
5 they access. They simply give them general
6 cell-penetrating properties.

7 And then, over here on the right are
8 different ways that currently are being explored
9 to do a more targeted delivery of these types of
10 molecules into cells. So there are virus-like
11 particles that take advantage of properties of
12 viruses that can have particular cell tropism,
13 allowing them to get into only certain types of
14 cells.

15 And then, on the far right, lipid
16 nanoparticles that can assemble around entities as
17 I'll show you, including assembled protein RNA
18 complexes, and lead to cellular delivery. And
19 there are various ways that are being tested right
20 now for making these types of particles also
21 programmable or at least favored different cell
22 types for delivery.

1 And so, what I'm going to propose to you
2 today is that I think we could imagine another
3 modality that really would sit right here, right
4 in between a viral-like particle and a lipid
5 nanoparticle.

6 Imagine that you had a particle that was
7 comprised of a very minimal set of components, but
8 still retain some of the things that we like about
9 these viral-like particles, and that they could be
10 programmed to enter just particle cell types.

11 And to deliver there preassembled
12 protein RNA complexes efficiently into particular
13 types of cells without messing with any other cell
14 types, especially if we were using these in vivo,
15 and they had some of the properties of the lipid
16 nanoparticles that are so attractive, namely
17 easily manufacturable without requiring cells to
18 make them.

19 It sounds kind of like a fantasy. But
20 we're working on this hard right now, and just
21 really imagine that there's a space here to
22 explore that could be really very exciting for the

1 next generation of genome editors that will be
2 able to do targeted delivery in vivo.

3 And I think that eventuality will really
4 transform the field and make it possible to
5 deliver this, you know -- deliver, both in the
6 direct sense and in the more figurative sense,
7 this technology to many more people around the
8 world that can benefit from it.

9 So just to say a little bit about how
10 we're approaching this idea. So we call these
11 envelope delivery vehicles. They're EDVs, and
12 this is work that was started by Jennifer Hamilton
13 when she was a post-doctoral scientist in my lab.
14 Now she started more than seven years ago.

15 She came from a virology background.
16 And her idea was to take what we know about
17 lentiviruses, so these are viruses like the HIV
18 virus that are very effective at infecting immune
19 cells, T cells in humans, and include on their
20 surface fusogens, like this molecule represented
21 here called VSVG, that we're capable of cell to
22 cell -- particle to cell fusion but didn't have

1 any particle cell tropism.

2 And then, provide the tropism by
3 expressing on the particle surface a single chain
4 antibody fragment that would provide cell surface
5 recognition and could allow penetration of
6 particular cell types by these particles.

7 And to make sure that we could
8 incorporate just the cargo that we were interested
9 in delivering in the Cas9 protein and it's guide
10 RNA, Jenny Hamilton made fusions of the gag
11 protein, which is part of the structure of these
12 lentiviral particles made with fusions of gag with
13 Cas9 that could then be -- allow automatic
14 packaging of Cas9 and its RNA guide inside the
15 particle.

16 And we've played around with different
17 ways of displaying single chain Fvs. One example
18 was shown here, but we have other types of
19 connections that we've explored between the
20 particle surface, and the single chain antibody.
21 And there's probably a lot more work to be done
22 there.

1 EDVs that carry CRISPR-Cas9. We're using Cas9
2 guide RNA that can target the T cell receptor in
3 these cells, and can also try to generate a
4 chimeric antigen receptor T cell.

5 And we can also use a particle, and EDV,
6 that's going to be programmed to recognize just
7 human T cells. And we can even be more specific
8 than that, and try to target CD4 positive T cells
9 in these animals.

10 And so, I'm just showing you two
11 different examples, two different experiments done
12 with different number mice. And I want to draw
13 your attention to two things.

14 First of all, we were excited to see
15 that we could use these Cas9 EDVs to generate CAR
16 T cells in the animals. And this is comparing to
17 our positive control using lentivirus, and then
18 our negative control using buffer. And so, we saw
19 that in both cases, we generated CAR T cells in
20 vivo using Cas9 EDVs, which was exciting.

21 And what was even more exciting was that
22 we found that only in the T cell -- CAR T cells

1 that we generated in these animals using Cas9
2 EDVs, did we observe genome editing in the cells.

3 And we don't see that with lentivirus.
4 We wouldn't expect to because that virus is not
5 carrying an editor in its genome. Here we're
6 actually delivering Cas9 and getting targeted
7 integration in the cells.

8 And so, this was the first example to
9 our knowledge of getting true targeted cell type
10 specific genome editing in vivo. And since then,
11 we've had a very exciting, very productive
12 collaboration with Justin Eyquem, a professor at
13 UCSF.

14 And I will point you to his laboratory,
15 and to a forthcoming publication from his lab that
16 is in collaboration with us, showing that we can
17 now use this approach to truly generate
18 therapeutically beneficial levels of CAR T cells
19 in vivo that are sufficient to ablate cancer
20 cells, and this is again in a mouse model.

21 And so, this -- we're very excited about
22 the potential of this technology because we know

1 that it can work in T cells. And we think in the
2 future, it will be possible to program these
3 particles to enter other kinds of cells as well.

4 And so, one of the things that we're
5 working on currently in our academic group is to
6 understand the structure of EDVs. So this is a
7 cartoon that shows that maturation of lentivirus
8 that occurs.

9 So there's an assembly of these
10 particles that involved proteolytic cleavage that
11 produces the proteins that can assemble to form a
12 capsid inside of the particle. This normally will
13 encapsulate the viral genetic material of say HIV
14 viruses with the RNA, the RNA genome with HIV, and
15 this forms the mature virus.

16 And you can see this very clearly if you
17 use a technique like cryogenic electron tomography
18 for example. You can view the structure of the
19 capsid, and you can often even see details about
20 the molecular structure of the protein forming the
21 capsid and the contents inside.

22 But with EDVs, we really had no idea

1 whether this capsid would still form, and if it
2 did, where our cargo would end up. Would it be
3 inside the capsid, outside the capsid?

4 And so, we've embarked on a series of
5 experiments to explore this, and this has been
6 really led by two Waynes, Wayne Ngo, and Zehan
7 Zhou, as well as a wonderful collaboration with
8 Randy Schekman's laboratory to look at the
9 trafficking of these particles in cells.

10 And that has led to an understanding
11 that we can jettison a lot of the components of
12 the original lentiviral particle to produce a
13 particle that's a lot simpler than this one up
14 here.

15 And most importantly -- I don't have
16 time to show you the data for this right now, but
17 I point you to a preprint that we posted on
18 bioRxiv that shows the data, we know that capsid
19 is not required in our particles. And in fact,
20 it's better not to have it.

21 And so, we've been able to clear out a
22 lot of space, we think, inside the particle by

1 removing components that are really viral centric
2 and create a structure that really just involves
3 the encapsulation components of the virus.

4 And importantly the cell surface
5 molecules that give it targeting tropism, and the
6 ability to fuse efficiently with cells, but remove
7 anything on the interior that frankly just takes
8 up space and isn't contributing meaningfully to
9 the delivery of Cas9 RNPs.

10 And as I mentioned before, we think that
11 really the simplest form of an EDV could actually
12 be quite similar to a lipid nanoparticle. Today
13 EDVs are made in a cell, you know, a host cell
14 that's a producer cell that can generate particles
15 very much the way lentiviruses are made.

16 But imagine that we could produce these
17 particles in vitro, you know, without cells, and
18 we could do it with purified components that would
19 give us a lot of control over the particle and its
20 composition, its size, its cargo, and its
21 downstream functional efficiency. This is would
22 be, I think -- give us a lot of control over the

1 way that these particles could be utilized.

2 And so, to get there in a second
3 collaboration with another colleague of ours at
4 the Innovative Genomics Institute, Niren Murthy,
5 we've been working with a lipid nanoparticle. And
6 this is project started again a few years ago by
7 two very enterprising post-doctoral scholars, Kai
8 Chen in my lab, and Hesong Han in Niren Murthy's
9 lab.

10 And the question they asked was, would
11 it be possible to use lipid nanoparticles not for
12 nucleic acid delivery, not mRNA delivery or DNA
13 delivery, but could we actually use it to deliver
14 a protein, and namely a protein with an RNA guide
15 like CRISPR-Cas9?

16 And so, in a series of experiments that
17 some of which have been published, and some are in
18 a preprint that's posted bioRxiv and will soon be
19 published in a peer review journal, we know that
20 this -- the answer to this is, yes. And
21 furthermore, this is possible to do in vivo.

22 And so, what these scientists have been

1 doing is assembling CRISPR-Cas9 RNPs into lipid
2 nanoparticles. They've tried a large range of
3 different formulations of particles that would
4 give efficient assembly with the largest number of
5 Cas9 RNPs that are functional. And importantly,
6 also favoring different types of tropism.

7 And then, using these particles to
8 generate genome editing cells and tissues in mice.
9 And then, looking to see the efficiency, the
10 accuracy, as well as the tissue tropism, namely
11 ensuring that if we're targeting one tissue type,
12 we're not getting a lot of editing in other tissue
13 types along the way.

14 And I'll show you just a couple of data
15 points for this, and point you to preprints if
16 you'd like to see more information. But you know,
17 using what we call a fairly standard lipid
18 nanoparticle formulation, we can induce quite
19 efficient genome editing in the liver.

20 And this is analogous to the work that's
21 been announced by the company Intellia, that I'm a
22 founder of, although I had nothing to do with

1 their science. And they're already in a phase
2 three clinical trial with this type of approach
3 for editing cells in the liver to treat liver
4 disease.

5 They're not using Cas9 RNPs in their
6 lipid nanoparticle formulation. They're using
7 mRNA for delivery, but we think that ultimately
8 this delivery strategy could also work for
9 assembled protein RNA complexes like Cas9.

10 So here is some data that Kai Chen and
11 Hesong Han generated in animals where they can
12 inject this lipid nanoparticle formulation with
13 CRISPR-Cas9 RNPs, and show that they get very
14 nice, very efficient editing in the liver.

15 Here we are using a mouse model in which
16 cell editing triggers production of the tdTomato
17 reporter protein. So the cells turn red, and it's
18 a very nice visual to test genome editing, and
19 they can verify this with a deep sequencing.

20 By changing the formulation, it was
21 possible to redirect these particles to the lung.
22 So using a cationic lipid nanoparticle formulation

1 favored cells in the lung, and this again is using
2 the same animal model that has -- where editing
3 generates red cells.

4 And what was exciting in this case was
5 showing that we got very little editing in the
6 liver in this formulation or in other tissue types
7 that were investigated. And so, we think that
8 this strategy also has real potential, and other
9 labs have reported the same thing that, you know,
10 that it really looks like we can make strides in
11 tissue specific editing using particular
12 formulations of lipid nanoparticles.

13 And who knows? Maybe there's a way to
14 combine this with the strategy of using single
15 chain antibody fragments to get even more targeted
16 editing of this type of particle in the future.

17 So I'd like to think of sort of a
18 continuum between a cell produced particle like
19 this, it looks a lot like a lentivirus, and then a
20 completely cell particle over here on the right
21 that is, you know, formulated as a lipid
22 nanoparticle.

1 Institute.

2 And that was developed further by
3 partnership with David Martin and with Mark
4 Walters at USCF Benioff Children's Hospital. And
5 also, with folks in Don Collins' group at UCLA.

6 And so, it's very exciting to have this
7 clinical trial approved. However we ran into big
8 challenges trying to get molecules made for this
9 trial. And it turns out that only now are we
10 starting to enroll patients due to the difficulty
11 of actually producing the GMP-quality molecules
12 that are necessary for the trial to proceed.

13 And if we look at the timeline and the
14 cost, it was a four-year timeline, and it cost
15 over \$8 million to get to this initial IND. And
16 that's not sustainable if we want to have a
17 pathway with this type of therapeutic strategy
18 that will be effective for lots of other kinds of
19 rare genetic diseases in humans, which is
20 something that I think we would all agree is a
21 great goal.

22 And so, we ask ourselves as scientists,

1 as researchers, and as you know, just human
2 beings. What's the right thing to do here? How
3 do we reduce this timeline and reduce the cost?

4 So that when we have patients that come
5 in with a rare disease that's clearly treatable in
6 principle with a gene editing cure, how do we get
7 them to a point where they can receive a therapy
8 that's safe, effective, and cost effective in a
9 reasonable amount of time? It's a tall order, but
10 I think it's something really worth doing.

11 And so, at the IGI, we've been thinking
12 about this as -- in terms of platforms. And this
13 is just, you know, taken from recent guidelines
14 from the Department of Health and Human Services
15 and the FDA, talking about platform technology
16 designation.

17 We think that CRISPR really should be
18 able to qualify for this because if you think
19 about going all the way back to how this system
20 actually functions in bacteria, it's a naturally
21 programmable system.

22 And so, imagine that we had a way to

1 swap out an RNA guide that was in place for, let's
2 say, sickle cell disease with a guide RNA that
3 could make this effective for a different rare
4 disorder.

5 Everything else could stay the same, and
6 it might be possible, especially as we continue to
7 understand better and better what controls the
8 accuracy of targeting? What controls the DNA
9 repair pathways that happen if we're using CRISPR
10 in its classic form? Or what happens if we use
11 this in its base editing or other forms that allow
12 control of gene expression?

13 And to get there, we're thinking about a
14 process that would, of course, start and end with
15 the patients. Start with a patient in need.
16 Figuring out the variant, genetic variant that is
17 causative of their disease.

18 Coming up with the right editing
19 approach or strategy, the delivery approach that's
20 going to be effective. Testing the safety and
21 effectiveness. Doing some kind of a clinical test
22 of this. And then, being able to introduce it

1 into that patient as a cure.

2 If you are curious about some of the
3 ideas that the IGI has around this, I refer you to
4 a recent publication on this topic that was led by
5 Melinda Kliegman who's our Director of Public
6 Outreach at the IGI.

7 And I just want to show you the team
8 involved. So this is a team that really focuses
9 on immunodeficiency because that's where we have a
10 lot of expertise at UCSF, particularly in the labs
11 of Jennifer Puck and Morton Cowan. And then, all
12 of these folks shown here are contributing in
13 central ways to making this pipeline possible.

14 We can't do this alone. We need to have
15 corporate partners. And we've been able to
16 partner with a number of the folks in these
17 companies here. And all of this is encapsulated
18 in the program we call the IGI Beacon.

19 Which was announced recently as a
20 partnership with the conglomerate company,
21 Danaher, that has a lot of manufacturing
22 capabilities, and are very keenly interested in

1 making sure that they can contribute to this
2 important goal of making genome editing much more
3 widely available in patients.

4 So I just want to conclude with three
5 points. First of all, that by continuing to
6 investigate how genome editing works, and what
7 editing -- what delivery methods are going to be
8 most effective, we think this type of fundamental
9 research will actually reduce the therapeutic
10 doses that'll be necessary, and bring down
11 manufacturing costs.

12 I also think that, you know, by focusing
13 on particular delivery strategies, and today I
14 mentioned this idea of using envelope delivery
15 vehicles for Cas9 protein delivery, that we can
16 enable cell type specific genome editing in vivo
17 where it will have, I think, a really
18 transformative effect on the field when this
19 becomes much more widely possible for different
20 tissue types.

21 And finally, we think that academic and
22 industry partnerships, like the IGI Beacon, will

1 expand affordability and access to genomic
2 therapies. And this must be a very close
3 partnership with the FDA.

4 So we're excited that you're excited.
5 We want to work with you. We want to understand
6 your thoughts about how to appropriately regulate
7 this and make sure that it's handled in a fashion
8 that will produce, at the other end, a pipeline
9 with safe effective and affordable therapies for
10 people.

11 And I just want to close with a couple
12 of acknowledgements. This is my own team with all
13 of our -- I think we had 12 undergraduate students
14 in the lab this summer working in the laboratory.
15 So I want to thank a lot of these scientists who
16 have contributed to some of the research that I
17 told you about today.

18 Of course, I'm incredibly grateful to
19 all of our sponsoring organizations, and to the
20 folks that pay the bills over here. And finally,
21 I want to give a big thanks and a big shoutout to
22 the team of IGI Beacon.

1 difference between VLPs that might have two
2 markers to increase selectivity and EDVs? Is it
3 just the cost of manufacturing?

4 It's not. It's actually the components.
5 And I didn't have time to show you the details
6 with this today. But if you're curious, I would
7 refer you to our recent preprint about this.

8 But you know, we were able to trim away
9 a lot of the internal composition, the components
10 of these particles so that they really look much
11 more just like little containers. They don't have
12 most of the viral proteins.

13 I think we've gotten rid of about 80
14 percent of the viral residues that, you know --
15 amino acids that normally comprise that package.
16 And so, that means that they are just simpler to
17 produce. There are fewer components. And in the
18 long run, we hope we can actually make them in
19 cell-free setting.

20 The next question is, how does a VLP
21 differ from a viral vector? So I just mentioned
22 that briefly. And importantly, we don't like to

1 using the word virus or virus-like particle
2 because we think that that implies that we're
3 using an infectious agent of some kind, and we're
4 not, right?

5 We're literally using it as a container
6 that is packaging a protein with an RNA guide. So
7 these are particles that, you know, simply deliver
8 their cargo, their contents, and then they go
9 away.

10 Have you compared Cas9 delivered via RNP
11 with Cas9 delivered from EDV to check differences
12 in editing efficacy? Great question. Thank you
13 for asking. The answer is yes, and we have some
14 very interesting data on this. It's not published
15 yet.

16 This is work by Hannah Carp, a current
17 graduate student in the lab, who's been to show
18 that EDV delivery is 50 to 100-fold better, more
19 efficient, than delivering the RNP, you know, sort
20 of on its own into cells.

21 And why is that? We're trying to figure
22 that out. We think that there's something about

1 the trafficking pathway is different when we use
2 the EDV. And it could be that, you know, we're
3 getting -- you mentioned turnover rate here. It
4 could be that we're getting more protection of the
5 cargo by the package so that we don't have as much
6 maybe destruction or early turnover of these
7 particles.

8 I'm not sure what the reason is yet, but
9 it's a very interesting difference. And it
10 suggests that for ex vivo therapies that are going
11 on right now, there may be a real benefit to using
12 EDVs rather than, you know, naked
13 ribonucleoproteins in -- for electroporation into
14 these cells.

15 Okay the next question. Great to see
16 tissue specific editing. This person is wondering
17 about the biodistribution of EDVs after IV
18 injection. Did EDVs actually arrive at
19 off-targeting tissues, but just did not edit the
20 cells? And the second question is, what cell
21 types in the liver and the lungs respectively?

22 Yes. So with the EDVs, we're not seeing

1 evidence of editing in these other tissue types.
2 But I don't know the answer to your more detailed
3 question, which is that, what's the reason for
4 that?

5 Do the particles go there, but then they
6 do not actually getting into the cells, or did
7 they just not even end up there? My guess is they
8 get there, but they don't transduce the cells.
9 That's my guess, but we don't have actual data for
10 that right now.

11 And then, the question about cell types
12 in the liver and lungs, I don't really know, you
13 know. We have not, or at least I don't have the
14 data handy right now showing you specifically what
15 kinds of cells are being transduced. And by the
16 way, that was with the lipid nanoparticle, the
17 data that I showed you for that. So it's a
18 little, you know, different delivery modality.

19 And then, another question. Let's see.
20 Is it important that a gene therapy be shown to be
21 effective before licensure? Of course. I mean,
22 absolutely. It would have to be effective, yes.

1 And it would have to be safe. So there's
2 absolutely no argument there.

3 I think the question is, how do we get
4 to those points, but in the most efficient way
5 possible, especially when we're thinking about
6 very rare disease where, you know, it's probably
7 really just isn't realistically affordable or even
8 really practical to do a full blown clinical trial
9 for those patients.

10 And in many cases, it might just be a
11 few patients affected in total. So we have to
12 think about how we're going to, you know, benefit
13 them in a way that's safe and effective.

14 And then, secondly -- sorry, another
15 question here. Can you use the EDV approach to
16 selectively target antigen specific T cells in
17 vivo, similar to a tetramer type approach?

18 I don't know the answer to that right
19 now. But I think in principle the answer is yes.
20 One of the things that we've found, and some of
21 this is in some of the preprints that I
22 referenced, is that these particles and their

1 efficacy is highly dependent on the specific
2 antibody fragment that we're displaying on the
3 surface.

4 And it probably, frankly, also has to do
5 with the density of those antibody fragments on
6 the surface. And even things like the connecting
7 molecule and how long it is, you know, that
8 connects the single chain Fv to the surface of the
9 particles seems to also matter a lot.

10 So it's a lot of variables. So you
11 know, we're trying to sort of sort through this
12 and figure out if there's some rules or kind of
13 rhyme or reason to it. But at the moment, we're
14 sort of, you know -- we're still at the stage
15 where we're just trying to figure out which
16 variables are the most critical for efficacy, and
17 then how to control them appropriately.

18 And by the way, please let me know. I
19 can keep answering questions.

20 DR. ELKINS: Yes.

21 DR. DOUDNA: But you should let me know
22 when you need me to stop.

1 DR. ELKINS: Please.

2 DR. DOUDNA: Okay. I'll just keep
3 going. Okay. So can you please comment on
4 advantages of using EDV over antibody modified LMP
5 for cell targeting? Yes. The short answer, I
6 don't know because we haven't tried the latter,
7 right?

8 We haven't tried, you know, antibody
9 modified LNPs. I know various groups are playing
10 around with these and testing them. I would be
11 very curious to try them side by side with the
12 EDVs, and hopefully we'll get a chance to do that
13 at some point. If anybody here has ideas about
14 how to do that, or who should do that, please
15 reach out because I think that would be an
16 important thing to test.

17 And then, there's another question. In
18 order to deliver EDVs to the target, do you always
19 require prior knowledge of the receptor identity,
20 or can they be made tropic to cells agnostic over
21 sectors?

22 No. We really need to know the receptor

1 identity. So at the moment, at least, that's how
2 we're doing this research. Now you could imagine
3 potential ways to do, I don't know you know,
4 tropism selection with cells where you maybe don't
5 know the identity of the surface markers, but we
6 haven't tried that yet.

7 Right now, we've been really focused on
8 identifying antibodies that we know recognize a
9 cell surface marker and a cell type of interest,
10 and then you know, trying to work it out in the
11 format of the EDV.

12 What is the release mechanism of the
13 EDVs? Yes. It's a really good question. We're
14 just in the beginning stages of exploring that.
15 We're looking at using cryo electron tomography to
16 actually follow the trafficking pathway of these
17 particles from when they actually engage on the
18 cell surface.

19 And there's membrane fusion to then how
20 they're actually taken up into the cell, and then
21 how they make -- their cargo ultimately makes its
22 way to the nucleus. But you know, we haven't --

1 we're just at the beginning of doing those
2 experiments.

3 Do you see differences in off target
4 occurrence between gene editing in vitro and in
5 vivo by Cas9? I would say we don't really see
6 differences there right now. I mean if we -- this
7 is us -- this is like the royal we. We've done,
8 but so have many other groups, so have companies
9 and folks doing it, running clinical trials.

10 You know, especially in T cells for
11 example, you can look at primary human T cells
12 that you're manipulating in vitro, but you can
13 also look at primary T cells that are being edited
14 in vivo.

15 And when you look at those cells, you
16 really don't see a difference in off target
17 occurrence. And frankly, if anything, the
18 situation is even better in vivo believe it or
19 not.

20 This is data from Carl June's lab
21 showing that -- and this is in a paper that we
22 published. Connor Tsuchida is the first author,

1 if you're curious to look it up, with Carl June as
2 a coauthor, where we looked at, you know, Carl's
3 clinical data.

4 And then, we looked at in vitro data
5 that we had for editing human T cells in vitro.
6 And it turned out that in vivo over time, cells
7 that are edited accurately have proliferative
8 advantage actually. And then, they tend to
9 predominate over time.

10 Let's see here. Do you have a back of
11 the envelope estimate for RNP delivery compared to
12 mRNA delivery on a cost per dose basis? I don't
13 have that. I think that's a very important thing
14 to try to do.

15 It's tricky because we don't currently
16 make mRNAs for delivery. I guess I could maybe
17 get ahold of some of those numbers from some folks
18 that are doing this. But I haven't done that yet.
19 But it's a great question. I think it's a really
20 important question, especially as we get farther
21 down the road with these various technologies.

22 Is there anyone looking at osteogenesis

1 imperfecta using any of the CRISPR-Cas methods? I
2 don't know the answer to that. Yes I do not know.
3 I think that's a great question. That's maybe an
4 NIH type of question. I don't know the answer to
5 that.

6 Does EDV deliver guide RNA Cas9 have a
7 different off target profile compared to RNP
8 delivered method? Not that we've seen. Yes not
9 that we've seen. We haven't done a very deep dive
10 on that yet, and we have not done that comparison
11 in vivo.

12 But when we look in vitro at cells that
13 are treated with one or the other of these
14 modalities, we don't see a difference in off
15 target editing. We do see a difference in editing
16 efficacy though, and that of course could affect
17 off targets in the sense that, you know, if you
18 have a more active editor, typically you also have
19 more off targets. But in terms of, like, on that
20 sort of -- you normalize by efficacy, you really
21 don't see a difference in off targets.

22 And then, the last question here in the

1 Q&A is, I was wondering if you have observed the
2 effect of the gene editing therapy decrease over
3 time, and if you think patients might need
4 additional gene editing interventions in the
5 future?

6 Yes. It's a great question. So this is
7 something that the company Intellia that I
8 mentioned has looked at a little bit. And they
9 made a public announcement last summer, a few
10 months back, about trying this type of strategy
11 for liver disease where they were able to re-dose
12 patients that had received an initial injection of
13 their editor, and dosing them a second time.

14 And the good news there was that at
15 least with their formulation, they're using an LNP
16 with, you know, mRNA delivery there for the
17 editor. They did not see, you know, a toxicity
18 there or an immune reaction. So that's a good
19 sign.

20 But you know, clearly more will need to
21 be done. And I think you raise a very interesting
22 point here that, you know, what's going to happen

1 with gene editing as we begin to see its impact on
2 different types of diseases, and larger number of
3 patients?

4 Are we going to find that the genome
5 editor edited cells persist in these patients? I
6 mean, sickle cell is kind of an interesting
7 example because the edited cells have a
8 proliferative advantage. And so they, maybe you
9 know, will naturally tend to persist over time,
10 and have an advantage over the unedited cells.

11 But that might not always be the case.
12 And so, I think it's a really interesting
13 biological question. It's an interesting clinical
14 question, and something that we'll really have to
15 pay attention to as clinical trials progress.

16 Now that's the last question that I see
17 there.

18 DR. ELKINS: I think that's it.

19 DR. DOUDNA: That's it?

20 DR. ELKINS: And I think we have pressed
21 our luck with being over time. We thank you so
22 much for a very informative, stimulating, and

1 exciting presentation. Obviously, it generated a
2 ton of discussion. And we know what we have to
3 look forward to both as scientists of regulators a
4 little bit more. So thank you again from all of
5 us for joining us today.

6 DR. DOUDNA: Thank you for hosting me.
7 And enjoy the rest of your meeting.

8 DR. ELKINS: Thank you again. And
9 folks, we are adjourned for the day. We look
10 forward to seeing everybody tomorrow morning.

11 (Whereupon, at 3:30 p.m., the
12 PROCEEDINGS were adjourned.)

13 * * * * *

14

15

16

17

18

19

20

21

22

1 CERTIFICATE OF NOTARY PUBLIC

2 DISTRICT OF COLUMBIA

3 I, Michelle Begley, notary public in and
4 for the District of Columbia, do hereby certify
5 that the forgoing PROCEEDING was duly recorded and
6 thereafter reduced to print under my direction;
7 that the witnesses were sworn to tell the truth
8 under penalty of perjury; that said transcript is a
9 true record of the testimony given by witnesses;
10 that I am neither counsel for, related to, nor
11 employed by any of the parties to the action in
12 which this proceeding was called; and, furthermore,
13 that I am not a relative or employee of any
14 attorney or counsel employed by the parties hereto,
15 nor financially or otherwise interested in the
16 outcome of this action.

17

18 (Signature and Seal on File)

19 Notary Public, in and for the District of Columbia

20

21

22

