

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ENDOCRINOLOGIC AND METABOLIC DRUGS
ADVISORY COMMITTEE (EMDAC) MEETING

Virtual Meeting

Friday, May 24, 2024

9:00 a.m. to 4:04 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

LaToya Bonner, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ENDOCRINOLOGIC AND METABOLIC DRUGS ADVISORY

COMMITTEE MEMBERS (Voting)

Matthew T. Drake, MD, PhD

Associate Professor of Medicine
Chair, Metabolic Bone Disease Core Group
Division of Endocrinology
Mayo Clinic College of Medicine
Rochester, Minnesota

Robert Alan Greevy, Jr., PhD

Professor, Department of Biostatistics
Director of Biostatistics, Center for
Health Services Research
Vanderbilt University Medical Center
Nashville, Tennessee

1 **Rita R. Kalyani, MD, MHS**

2 Professor of Medicine

3 Director, Diabetes Management Service for Total

4 Pancreatectomy Islet Auto Transplant Program

5 Division of Endocrinology, Diabetes, & Metabolism

6 Johns Hopkins University School of Medicine

7 Baltimore, Maryland

8

9 **Cecilia C. Low Wang, MD**

10 *(Chairperson)*

11 Professor of Medicine

12 Program Director, Diabetes Fellowship

13 University of Colorado Anschutz Medical Campus

14 (AMC) School of Medicine

15 Medical Director, IND/IDE Office, Office of the

16 Vice Chancellor for Research, AMC

17 Director, Glucose Management Team

18 University of Colorado Hospital

19 Aurora, Colorado

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE

(Non-Voting)

Sandeep Dutta, PhD

(Acting Industry Representative)

Vice President, Global Clinical Development and

Global Head

Amgen

Thousand Oaks, California

TEMPORARY MEMBERS (Voting)

Paul Beringer, PharmD

Professor of Clinical Pharmacy

USC Alfred E. Mann School of Pharmacy and

Pharmaceutical Sciences

University of Southern California

Los Angeles, California

1 **Erica Brittain, PhD**

2 Deputy Branch Chief and Mathematical Statistician

3 Biostatistics Research Branch

4 National Institute of Allergy and Infectious

5 Diseases (NIAID)

6 National Institute of Health (NIH)

7 Bethesda, Maryland

8

9 **Jill P. Crandall, MD**

10 Jacob and Jeanne Barkey Professor

11 Chief, Division of Endocrinology

12 Director

13 Fleischer Institute for Diabetes & Metabolism

14 Albert Einstein College of Medicine

15 New York, New York

16

17 **Martha Nason, PhD**

18 Mathematical Statistician

19 Branch Division of Clinical Research

20 NIAID, NIH

21 Bethesda, Maryland

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Barbara Onumah, MD

Clinical Practice: Diabetes and Endocrinology
Physician Owner: The Diabetes and Endocrine
Wellness Center, LLC
Largo, Maryland

Paul Tibbits, Jr.

(Patient Representative)
Washington, District of Columbia

Thomas J. Weber, MD

Professor of Medicine
Division of Endocrinology, Metabolism and Nutrition
Duke University Medical Center
Durham, North Carolina

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Lisa Yanoff, MD**

3 Deputy Director

4 Office of Cardiology, Hematology, Endocrinology,
5 and Nephrology (OCHEN)

6 Office of New Drugs (OND)

7 CDER, FDA

8

9 **Patrick Archdeacon, MD**

10 Deputy Director

11 Division of Diabetes, Lipid Disorders, and Obesity
12 (DDLO)

13 OCHEN, OND, CDER, FDA

14

15 **Michael Nguyen, MD**

16 Cross Discipline Team Leader

17 DDLO, OCHEN, OND, CDER, FDA

18

19 **Frank Pucino, PharmD, MPH**

20 Clinical Reviewer

21 DDLO, OCHEN, OND, CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Leslie Kenna, PhD

Clinical Pharmacology Reviewer
Division of Cardiometabolic and Endocrine
Pharmacology (DCEP)
Office of Clinical Pharmacology (OCP)
Office of Translational Sciences (OTS)
CDER, FDA

Roberto Crackel, PhD

Statistical Reviewer
Division of Biometrics II (DB-II)
Office of Biostatistics (OB)
OTS, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Jaejoon Song, PhD

Safety Statistical Reviewer
Division of Biometrics VII (DB-VII)
OB, OTS, CDER, FDA

Elyes Dahmane, PhD

Pharmacometrics Reviewer
Division of Pharmacometrics (DPM)
OCP, OTS, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order	
Cecilia Low Wang, MD	13
Introduction of Committee	
LaToya Bonner, PharmD	13
Conflict of Interest Statement	
LaToya Bonner, PharmD	20
FDA Introductory Remarks	
Michael Nguyen, MD	24
Applicant Presentations	
Introduction	
Shawn Hoskin, MS	31
Unmet Need for Basal Insulin Treatment	
Ildiko Lingvay, MD, MPH, MSCS	38
ONWARDS Development Program and Icodec Dosing	
Stephen Gough, MD, FRCP	43
Safety of Once-Weekly Injection of	
Insulin Icodec	
Roman Cailleteau, MD	49

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Efficacy and Hypoglycemia in People with	
4	Type 2 Diabetes	
5	Roman Cailleteau, MD	50
6	Efficacy and Hypoglycemia in People with	
7	Type 1 Diabetes	
8	Stephen Gough, MD, FRCP	54
9	Clinical Perspective	
10	Ildiko Lingvay, MD, MPH, MSCS	69
11	Conclusion	
12	Stephen Gough, MD, FRCP	75
13	Clarifying Questions to Applicant	79
14	FDA Presentations	
15	Clinical Pharmacology Assessment of	
16	Insulin Icodec	
17	Leslie Kenna, PhD	114
18	ONWARDS 6: Study Design	
19	Frank Pucino, PharmD, MPH	118
20	ONWARDS 6: Summary of Efficacy	
21	Roberto Crackel, PhD	123
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
ONWARDS 6: Safety Review	
Frank Pucino, PharmD, MPH	131
Exploratory Analysis of Percent Coefficient of Variation (percent CV) Subgroup	
Jaejoon Song, PhD	141
Pharmacometric Modeling of Alternative Dose Titration Strategies	
Elyes Dahmane, PhD	147
ONWARDS 6: Benefit-Risk Summary	
Frank Pucino, PharmD, MPH	151
Clarifying Questions to FDA	154
Open Public Hearing	180
Clarifying Questions (continued)	215
Questions to the Committee and Discussion	229
Adjournment	307

1 P R O C E E D I N G S

2 (9:00 a.m.)

3 **Call to Order**

4 DR. LOW WANG: Alright, it's the top of the
5 hour, so let's get started.

6 Good morning and welcome. I would first
7 like to remind everyone to please mute your line
8 when you're not speaking. For media and press, the
9 FDA press contact is Chanapa Tantibanchachai. Her
10 e-mail is currently displayed.

11 Thank you for joining the meeting this
12 morning. My name is Dr. Cecilia Low Wang, and I
13 will be chairing this meeting. I will now call the
14 May 24, 2024 Endocrinologic and Metabolic Drugs
15 Advisory Committee meeting to order. Commander
16 LaToya Bonner is the designated federal officer for
17 this meeting and will begin with introduction.

18 **Introduction of Committee**

19 CDR BONNER: Thank you, Chair.

20 My name is LaToya Bonner -- good morning to
21 you all -- and I am the designated federal officer
22 for this meeting. When I call your name, please

1 introduce yourself by stating your name and your
2 affiliation. We will start with the standing EMDAC
3 members.

4 Dr. Drake?

5 DR. DRAKE: Matthew Drake. I am an
6 endocrinologist, adult endocrinologist, at the Mayo
7 Clinic in Rochester, Minnesota.

8 CDR BONNER: Thank you.

9 Next is Dr. Greevy.

10 DR. GREEVY: Hi. I'm Robert Greevy. I'm a
11 Professor of Biostatistics at Vanderbilt
12 University.

13 CDR BONNER: Next is Dr. Kalyani.

14 DR. KALYANI: Hi. Dr. Rita Kalyani. I'm an
15 adult endocrinologist at Johns Hopkins University
16 in Baltimore.

17 CDR BONNER: Thank you.

18 Next is our chair, Dr. Low Wang.

19 DR. LOW WANG: My name is Cecilia Low Wang.
20 I am a Professor of Medicine and an endocrinologist
21 at the University of Colorado School of Medicine in
22 Aurora, Colorado.

1 CDR BONNER: Thank you.

2 And our acting industry representative,
3 Dr. Dutta.

4 DR. DUTTA: Hi. This is Sandeep Dutta. I'm
5 Vice President, Clinical Pharmacology, Modeling,
6 and Simulation at Amgen.

7 CDR BONNER: Thank you, sir.

8 Next, we have our temporary voting members,
9 starting with Dr. Beringer.

10 DR. BERINGER: I'm Paul Beringer. I'm a
11 Professor of Clinical Pharmacy at the University of
12 Southern California in Los Angeles.

13 CDR BONNER: Thank you.

14 Dr. Brittain?

15 DR. BRITTAIN: Hi. I'm Erica Brittain. I'm
16 a statistician at the National Institute of Allergy
17 and Infectious Diseases, NIH.

18 CDR BONNER: Thank you.

19 Dr. Crandall?

20 DR. CRANDALL: Hi. I'm Jill Crandall. I'm
21 a Professor of Medicine and Chief of Endocrinology
22 at Albert Einstein College of Medicine in New York.

1 CDR BONNER: Dr. Nason?

2 DR. NASON: Good morning. I'm Martha Nason.
3 I'm a biostatistician at the National Institute of
4 Allergy and Infectious Diseases, NIH.

5 CDR BONNER: Thank you.

6 Dr. Onumah?

7 DR. ONUMAH: Good morning. Barbara Onumah.
8 I'm a clinical endocrinologist, a clinical practice
9 in Largo, Maryland.

10 CDR BONNER: Thank you, ma'am.

11 Dr. Tibbits? Mr. Tibbits; I'm sorry. He is
12 our patient representative for this meeting.

13 Mr. Tibbits?

14 MR. TIBBITS: Hi. I'm Paul Tibbits. I have
15 had type 1 diabetes for 44 years. My professional
16 background, I've been in healthcare policy,
17 communications, and advocacy for about 25 years.

18 CDR BONNER: Thank you, sir.

19 And Dr. Weber?

20 DR. WEBER: Good morning. This is Tom
21 Weber. I'm an adult endocrinologist at Duke
22 University Medical Center in Durham, North

1 Carolina.

2 CDR BONNER: Thank you, sir.

3 Now, we will introduce to you our FDA
4 participants, starting with Dr. Yanoff.

5 DR. YANOFF: Good morning. I'm Lisa Yanoff.
6 I'm the Deputy Office Director in OND for the
7 Office of Cardiology, Hematology, Endocrinology,
8 and Nephrology.

9 CDR BONNER: Thank you.

10 Next is Dr. Archdeacon.

11 DR. ARCHDEACON: Good morning. I'm Patrick
12 Archdeacon, Deputy Director, Division of Diabetes,
13 Lipid Disorders, and Obesity.

14 CDR BONNER: Thank you.

15 Next is Dr. Nguyen.

16 DR. NGUYEN: Hi. I'm Michael Nguyen. I am
17 the Clinical Team Lead in the Division of Diabetes,
18 Lipid Disorders, and Obesity.

19 CDR BONNER: Thank you.

20 Next is Dr. Pucino.

21 DR. PUCINO: Frank Pucino, Clinical Reviewer
22 from the Division of Diabetes, Lipid Disorders, and

1 Obesity.

2 CDR BONNER: Thank you.

3 Dr. Kenna?

4 DR. KENNA: Leslie Kenna, Clinical
5 Pharmacology Reviewer, Office of Clinical
6 Pharmacology.

7 CDR BONNER: Next is Dr. Crackel.

8 DR. CRACKEL: Roberto Crackel, Mathematical
9 Statistician with the Division of Biometrics II in
10 the Office of Biostatistics.

11 CDR BONNER: Next is Dr. Song.

12 DR. SONG: Dr. Jaejoon Song. I'm a Safety
13 Statistical Reviewer, Division of Biometrics VII,
14 Office of Biostatistics.

15 CDR BONNER: Thank you.

16 Next is Dr. Dahmane?

17 DR. DAHMANE: Good morning. My name is
18 Elyes Dahmane, Pharmacometrics Reviewer, Division
19 of Pharmacometrics, Office of Clinical
20 Pharmacology.

21 CDR BONNER: Thank you. That concludes our
22 introduction. I'll hand the meeting back over to

1 our chair.

2 Dr. Low Wang?

3 DR. LOW WANG: Thank you, Commander Bonner.

4 For topics such as those being discussed at
5 this meeting, there are often a variety of
6 opinions, some of which are quite strongly held.
7 Our goal is that this meeting will be a fair and
8 open forum for discussion of these issues and that
9 individuals can express their views without
10 interruption. Thus, as a gentle reminder,
11 individuals will be allowed to speak into the
12 record only if recognized by the chairperson. We
13 look forward to a productive meeting.

14 In the spirit of the Federal Advisory
15 Committee Act and the Government in the Sunshine
16 Act, we ask that the advisory committee members
17 take care that their conversations about the topic
18 at hand take place in the open forum of the
19 meeting.

20 We are aware that members of the media are
21 anxious to speak with the FDA about these
22 proceedings; however, FDA will refrain from

1 discussing the details of this meeting with the
2 media until its conclusion. Also, the committee is
3 reminded to please refrain from discussing the
4 meeting topic during breaks or lunch. Thank you.

5 Commander Bonner will now read the Conflict
6 of Interest Statement for the meeting.

7 **Conflict of Interest Statement**

8 CDR BONNER: Thank you, ma'am. LaToya
9 Bonner.

10 The Food and Drug Administration is
11 convening today's meeting of the Endocrinologic and
12 Metabolic Drug Advisory Committee under the
13 authority of the Federal Advisory Committee Act,
14 FACA, of 1972. With the exception of the industry
15 representative, all members and temporary voting
16 members of the committee are special government
17 employees or regular federal employees from other
18 agencies, and are subject to federal conflict of
19 interest laws and regulations.

20 The following information on the status of
21 this committee's compliance with federal ethics and
22 conflict of interest laws, covered by but not

1 limited to those found at 18 U.S.C. Section 208, is
2 being provided to participants in today's meeting
3 and to the public.

4 FDA has determined that members and
5 temporary voting members of this committee are in
6 compliance with federal ethics and conflict of
7 interest laws. Under 18 U.S.C. Section 208,
8 Congress has authorized FDA to grant waivers to
9 special government employees and regular federal
10 employees who have potential financial conflicts
11 when it is determined that that agency's need for a
12 special government employee's services outweighs
13 their potential financial conflict of interest, or
14 when the interest of a regular federal employee is
15 not so substantial as to be deemed likely to affect
16 the integrity of the services which the government
17 may expect from the employee.

18 Related to the discussion of today's
19 meeting, members and temporary voting members of
20 the committee have been screened for potential
21 financial conflicts of interests of their own as
22 well as those imputed to them, including those of

1 their spouses or minor children and, for purposes
2 of 18 U.S.C. Section 208, their employers. These
3 interests may include investments; consulting;
4 expert witness testimonies; contracts, grants,
5 CRADAs; teaching, speaking, writing; patents,
6 royalties; and primary employment.

7 Today's agenda involves discussion of the
8 safety and efficacy of biologics license
9 application, BLA, 761326 for NNC0148-0287
10 injection, insulin icodec, a long-acting insulin
11 analog product, submitted by Nova Nordisk. The
12 proposed indication is to improve glycemic control
13 in adults with diabetes mellitus. This is a
14 particular matters meeting during which specific
15 matters related to Novo Nordisk's BLA will be
16 discussed.

17 Based on the agenda for today's meeting and
18 all financial interests reported by the committee
19 members and temporary voting members, no conflict
20 of interest waivers have been issued in connection
21 with this meeting. To ensure transparency, we
22 encourage all standing committee members and

1 temporary voting members to disclose any public
2 statements that they have made concerning the
3 product at issue. With respect to FDA's invited
4 industry representative, we would like to disclose
5 that Dr. Sandeep Dutta is participating in this
6 meeting as a non-voting industry representative,
7 acting on behalf of regulated industry.

8 Dr. Dutta's role at this meeting is to represent
9 industry in general and not any particular company.
10 Dr. Dutta is employed by Amgen.

11 We would like to remind members and
12 temporary voting members that if the discussions
13 involve any other products or firms not already on
14 the agenda for which an FDA participant has a
15 personal or imputed financial interest, the
16 participants need to exclude themselves from such
17 involvement, and their exclusion will be noted for
18 the record. FDA encourages all other participants
19 to advise the committee of any financial
20 relationships that they may have with the firm at
21 issue.

22 Thank you. I will now turn the meeting back

1 over to our chair.

2 Dr. Low Wang?

3 DR. LOW WANG: Thank you, Commander Bonner.

4 We will now proceed with FDA introductory
5 remarks from Dr. Michael Nguyen.

6 **FDA Introductory Remarks - Michael Nguyen**

7 DR. NGUYEN: Good morning. My name is
8 Michael Nguyen, and I'm the Clinical Team Lead for
9 this application, and I will provide the
10 introductory remarks for this advisory committee
11 meeting. The objective of the AC meeting is to
12 discuss the benefits and risks of insulin icodec, a
13 once-weekly insulin analog product, for the
14 proposed indication to improve glycemic control in
15 adult patients with diabetes mellitus.

16 There are two main types of diabetes
17 mellitus, type 1 and type 2. Type 1 diabetes is
18 characterized by autoimmune destruction of
19 pancreatic beta cells, loss of insulin secretion,
20 and the requirement for lifelong administration of
21 exogenous insulin, whereas type 2 diabetes is
22 characterized by insulin resistance and inadequate

1 insulin production, and is treated with many
2 different types of medications, including insulin.
3 Because type 1 and type 2 are distinct conditions
4 with distinct pathogenic mechanisms, responses to
5 an intervention in one form of diabetes does not
6 necessarily predict or correspond with responses to
7 the same intervention in the other. The focus of
8 this meeting will be the safety and efficacy of
9 insulin icodec in patients with type 1 diabetes.

10 Diabetes mellitus is a disease of impaired
11 glucose homeostasis that results in chronic
12 hyperglycemia and affects an estimated 38 million
13 people in the United States. Type 1 diabetes
14 accounts for 5 to 10 of diagnosed cases. The
15 management of type 1 diabetes requires lifelong
16 insulin therapy, either with continuous
17 subcutaneous insulin infusions or with multiple
18 daily insulin injections, consisting of a bolus and
19 basal insulin.

20 Maintaining normal glycemia, defined as an
21 A1c less than 7 percent, can reduce the risk of
22 diabetic complications. A1c is a biomarker that

1 reflects the average glucose level in the prior
2 2 to 3 months. Hypoglycemia is a major limiting
3 factor in achieving glycemic control with exogenous
4 insulin in people with type 1 diabetes.

5 There is an unmet medical need in basal
6 insulin therapy for type 1 diabetes. In the U.S.,
7 about one-third of adults with type 1 are managed
8 with multiple daily insulin injections. An
9 estimated 22 percent of adults with T1D may miss
10 one or more basal insulin doses over any 14-day
11 period, and nonadherence to insulin therapy is a
12 precipitating factor for diabetic complications
13 such as diabetic ketoacidosis.

14 Current available basal insulin products are
15 administered once to twice daily. Insulin icodec
16 is a long-acting human insulin analog intended for
17 once-weekly administration. When used for the
18 management of type 2, insulin icodec would reduce a
19 total number of injections from 7 to one per week
20 among patients using basal insulin dosing only.
21 When used for the management of type 1, insulin
22 icodec would reduce the total number of insulin

1 injections from 28 to 22 per week on average. It
2 is not known whether a once-weekly basal insulin
3 such as insulin icodec would improve adherence
4 and/or glycemic control in patients with type 1.

5 In December 2020, an end of phase 2 meeting
6 was held with the applicant. During this meeting,
7 FDA noted that the clinical pharmacology results
8 from the study in patients with type 1 revealed
9 that the glucose lowering effect of insulin icodec
10 was not constant across the dosing period when it
11 was dosed weekly. FDA advised that insulin icodec
12 may not be ideally suited for use as a once-weekly
13 product, and that an insulin regimen that included
14 insulin icodec might lead to more hypoglycemia,
15 more frequent bolus insulin adjustments, and less
16 glycemic control.

17 In this meeting, FDA also agreed with an
18 active comparator, open-label study design for
19 insulin icodec to demonstrate efficacy in adults
20 with type 1, with the primary endpoint of A1c at
21 6 months. This study later became known as
22 ONWARDS 6. FDA also noted that meeting this

1 prespecified noninferiority margin would not be
2 sufficient to establish a favorable benefit-risk
3 profile because the risk of hypoglycemia would also
4 be taken into consideration.

5 FDA recommended that the phase 3 T1D study,
6 ONWARDS 6, include a third arm to evaluate insulin
7 icodec, dosed twice weekly, assess potential
8 improvements in diabetes satisfaction to offset any
9 potential worse glycemic profile and assess the
10 potential need for additional bolus dose
11 adjustments.

12 Key issues. Insulin icodec is a proposed
13 long-acting insulin analog that if administered
14 once weekly reduces the number of basal insulin
15 injections compared to available daily basal
16 products. It does not have a constant time action
17 profile throughout the dosing interval. The
18 central application finding was that insulin icodec
19 was noninferior to insulin degludec in improving
20 glycemic control, as measured by A1c, but had an
21 increased risk of level 2 or 3 hypoglycemia. This
22 meant that insulin icodec met the regulatory

1 approval standard for efficacy but is associated
2 with a worse safety profile than once-daily insulin
3 degludec.

4 The applicant proposed several mitigation
5 strategies to address the greater risk of
6 hypoglycemia. I will preview the main options here
7 and allow subsequent FDA presenters to share
8 further details. First, indicate insulin icodec
9 only for patients with low glycemic variability.
10 Second, limit the use of insulin icodec to certain
11 patients, for example, adults using continuous
12 glucose monitoring devices. Third, label
13 alternative bolus insulin dosing strategies.

14 Discussion points for the committee. In
15 adults with type 1 diabetes, discuss the benefits
16 of insulin icodec and the risk of hypoglycemia.
17 Discuss the role of continuous glucose monitoring
18 devices and measures of glycemic variability with
19 respect to the risk of hypoglycemia in patients
20 using insulin icodec. Discuss the proposed dosing
21 and titration regimen and the extent to which the
22 modeling data support alternative dosing

1 strategies. Discuss the role of insulin icodec in
2 the context of the available treatment
3 armamentarium to improve glycemic control.

4 Voting question for the committee. Based on
5 the available data, has the applicant demonstrated
6 that the benefits of insulin icodec outweigh its
7 risks for improving glycemic control in adults with
8 type 1 diabetes? If yes, explain your rationale.
9 Comment on any risk mitigation measures you believe
10 would be necessary to ensure that the benefits
11 outweigh the risks. If no, explain your rationale
12 and comment on any additional data that could be
13 provided to demonstrate that the benefits outweigh
14 the risks. This completes my presentation.

15 DR. LOW WANG: Thank you, Dr. Nguyen, for
16 that introduction and articulation of the key
17 issues.

18 Both the Food and Drug Administration and
19 the public believe in a transparent process for
20 information gathering and decision making. To
21 ensure that such transparency is present at the
22 advisory committee meeting, FDA believes that it's

1 important to understand the context of an
2 individual's presentation.

3 For this reason, FDA encourages all
4 participants, including the applicant's
5 non-employee presenters, to advise the committee of
6 any financial relationships that they may have with
7 the applicant, such as consulting fees, travel
8 expenses, honoraria, and interest in the applicant,
9 including equity interests and those based upon the
10 outcome of the meeting.

11 Likewise, FDA encourages you at the
12 beginning of your presentation to advise the
13 committee if you do not have any such financial
14 relationships. If you choose not to address this
15 issue of financial relationships at the beginning
16 of your presentation, it will not preclude you from
17 speaking.

18 We will now proceed with the presentations
19 by Novo Nordisk.

20 **Applicant Presentation - Shawn Hoskin**

21 MR. HOSKIN: Members of the committee, FDA
22 colleagues, good morning. My name is Shawn Hoskin,

1 Executive Director of Regulatory Affairs at Novo
2 Nordisk. Thank you for the opportunity to present
3 the data supporting use of the insulin icodec, a
4 long-acting human insulin analog to be administered
5 once weekly for the treatment of adults with
6 diabetes mellitus.

7 Insulin icodec represents the continued
8 evolution of insulin therapy and was specifically
9 designed to reduce the burden of insulin treatment
10 and better meet the needs of people with diabetes.
11 For decades, innovation in insulin development has
12 been guided by the need to reduce patient burden
13 and the frequency of insulin injections. Insulin
14 icodec was designed to retain all the well-known
15 actions of human insulin, with a PK/PD profile that
16 would be suitable for patients to cover their basal
17 insulin requirements with a single once-weekly
18 injection.

19 The icodec molecule consists of a modified
20 insulin peptide backbone with a fatty acid side
21 chain. It binds strongly and reversibly to
22 albumin, slowing clearance and creating a depot of

1 icodec in the circulation and interstitial tissues.
2 The albumin bound icodec itself is essentially
3 inactive; however, active insulin icodec is slowly
4 and continuously released from this depot. These
5 properties and receptor binding characteristics
6 contribute to sustained glucose lowering with
7 once-weekly dosing in both type 2 and type 1
8 diabetes.

9 The PK/PD properties of insulin icodec are
10 maintained across a variety of patient
11 characteristics. The peak-to-trough ratio of
12 insulin icodec across 1 week is similar to the
13 ratio of other basal insulins over one day.

14 Insulin icodec has been submitted for review
15 and approval to a number of health authorities
16 around the world. To date, icodec has been
17 approved in Canada and Switzerland, and recently
18 received approval in Europe for the treatment of
19 diabetes in adults with both type 1 and type 2
20 diabetes. If approved in the United States,
21 insulin icodec would be the first once-weekly basal
22 insulin for people with diabetes.

1 Insulin icodec was evaluated in the ONWARDS
2 development program, which included six phase 3
3 randomized, parallel group, multicenter,
4 multinational trials, comparing the efficacy and
5 safety of insulin icodec versus an active control
6 across a diverse population of people with
7 diabetes. As described by FDA, today's meeting is
8 focused solely on the benefit-risk of insulin
9 icodec for the treatment of people with type 1
10 diabetes; however, because the full data package
11 augments our knowledge of efficacy and safety, we
12 will briefly summarize the results from trials in
13 people with type 2 diabetes.

14 Evidence from the ONWARDS 1 to 5 trials
15 demonstrates that once-weekly insulin icodec is a
16 safe and effective long-acting insulin for people
17 with type 2 diabetes. In all studies, icodec met
18 the primary objective, demonstrating noninferiority
19 to daily basal insulin for change in HbA1c with
20 reductions sustained for 52 weeks. Continuous
21 glucose monitoring, or CGM data, support that
22 icodec is comparable to daily basal insulin for

1 time-in-target glucose range.

2 The general safety profile is consistent
3 with the well-known profile of daily basal insulin.
4 The absolute event rates of severe or clinically
5 significant hypoglycemic episodes were low, and
6 there was no excess of level 3 hypoglycemic
7 episodes with insulin icodec. The totality of
8 evidence across all ONWARDS studies in people with
9 type 2 diabetes consistently demonstrates a
10 favorable benefit-risk for once-weekly insulin
11 icodec. Data from the ONWARDS 6 trial supports
12 that once-weekly insulin icodec can be used safely
13 and effectively in adults with type 1 diabetes.

14 Insulin icodec met the primary endpoint,
15 demonstrating noninferior reductions in HbA1c
16 compared to insulin degludec, and the CGM measured
17 time-in-target range was not clinically different.
18 The general safety profile of insulin icodec was
19 consistent with insulin degludec, with the
20 exception of hypoglycemic episodes.

21 Today, we are here to discuss the
22 benefit-risk of insulin icodec in adults with

1 type 1 diabetes. As we will demonstrate, the
2 clinical trial supports that episodes of
3 hypoglycemia occurring with insulin icodec in
4 participants with type 1 diabetes are no different
5 than those occurring with insulin degludec. When
6 episodes did occur with insulin icodec, they were
7 successfully managed using the same instructions
8 and methods used for insulin degludec.

9 The mean duration of hypoglycemia was not
10 longer with weekly insulin icodec compared with
11 daily insulin degludec. No characteristics were
12 identified to predict for a difference in relative
13 hypoglycemia risk with insulin icodec compared to
14 other basal insulins. Consistent with clinical
15 practice and in line with recommendations,
16 healthcare professionals will use their clinical
17 judgment to individualize care.

18 To help guide individualized care,
19 information on hypoglycemia risk factors and the
20 pharmacodynamic profile of insulin icodec will be
21 shared with physicians. This includes
22 communicating that the greatest glucose lowering

1 effect with icodec occurs on days 2 to 4 after
2 injection. We have also proposed limitations of
3 use in patients known to have increased
4 hypoglycemia risk. The totality of evidence
5 supports that healthcare professionals will be able
6 to use icodec with a favorable benefit-to-risk
7 profile for adults with type 1 diabetes.

8 Icodec will be available in a U-700
9 prefilled insulin pen injector. The pen will
10 deliver insulin icodec in 10-unit increments with a
11 maximum single injection of 700 units. It is
12 important to understand that the concentration of
13 insulin icodec is 7 times the concentration of
14 approved basal insulin analogs; therefore, the
15 volume of icodec injected weekly is the same as the
16 volume injected daily with a currently available
17 basal insulin.

18 Here is the agenda for the remainder of
19 today's presentation. We also have additional
20 experts with us today. All outside experts have
21 been compensated for their time and travel to
22 today's meeting. Thank you. I'll now turn the

1 presentation over to Dr. Lingvay.

2 **Applicant Presentation - Ildiko Lingvay**

3 DR. LINGVAY: Thank you, and good morning.

4 My name is Ildiko Lingvay, and I'm a Professor of
5 Medicine at University of Texas Southwestern, but I
6 have been caring for patients with diabetes for
7 more than 20 years. As an investigator in 4 of the
8 6 ONWARDS trials and two of the phase 2 trials, I
9 have experienced treating patients with once-weekly
10 insulin icodec. I will review the unmet need for a
11 once-weekly basal insulin for people with type 1
12 and type 2 diabetes.

13 Insulin has an important role in the
14 treatment of people with diabetes. For people with
15 type 1 diabetes, it is the life-saving treatment.
16 For people with type 2 diabetes, it is one of the
17 most effective glucose lowering interventions. All
18 people with type 1 diabetes and nearly a third of
19 people with type 2 diabetes are treated with
20 insulin. That is approximately 1.7 million people
21 with type 1 diabetes and 7.4 million people with
22 type 2 diabetes in the U.S. alone who use insulin

1 every day.

2 Over the last several decades, we have seen
3 important advances in insulin treatment, yet many
4 patients still have significant hesitations and
5 concerns about insulin therapy. Insulin is one of
6 the most burdensome treatments for diabetes.
7 Barriers to insulin treatment include fear of
8 injections; inconvenience; the associated
9 practicalities; the social stigma.

10 Just one such example is the added
11 complexity and inconvenience insulin treatment
12 imposes should one need to travel, from packing all
13 the supplies; the embarrassment of the extra
14 scrutiny in the security line; curious glances of
15 onlookers, sharps disposal and the risk of losing
16 or breaking the insulin. It is not an
17 overstatement that insulin therapy is burdensome
18 and impacts quality of life.

19 This sentiment regarding insulin therapy has
20 important consequences. First, it leads to delays
21 in initiating insulin in people with type 2
22 diabetes who would benefit from this treatment.

1 Insulin initiation is commonly delayed by several
2 years. Once initiated, adherence is suboptimal,
3 limiting insulin for potential to control glycemia.
4 Nonadherence with insulin, whether measured by fill
5 rates or patient questionnaires, is high.

6 Over half of people with type 1 and type 2
7 diabetes meet criteria for nonadherence. In a
8 study that evaluated 507 people with type 1 and
9 type 2 diabetes, those with low adherence were more
10 likely to report forgetfulness, time required for
11 insulin administration, and embarrassment as
12 barriers to insulin use.

13 Missing insulin injections has multiple
14 consequences. First, it impacts the ability to
15 achieve glycemic control. In this prospective
16 real-life study of adults with type 1 diabetes
17 receiving insulin injections, continuous glucose
18 monitoring data was used to evaluate the impact of
19 missed doses on glycemic outcomes. Over a 14-day
20 period, 17.7 percent missed one dose of basal
21 insulin and 3.6 percent missed 2 doses. A single
22 missed basal insulin dose was associated with a

1 reduced time-in-target glucose range of 2.6 percent
2 and two missed basal insulin doses resulted in a
3 5.3 percent reduction. These data highlight that
4 missed injections can have a considerable impact on
5 glycemic control.

6 Low insulin adherence has additional
7 documented consequences. In this real-world data
8 from a large commercial healthcare database,
9 insulin nonadherence, defined as less than
10 80 percent proportion of days covered, was
11 associated with increased healthcare utilization.
12 Nonadherence was associated with a higher number of
13 hospitalizations, days spent in the hospital, and
14 number of emergency room visits. Both diabetes
15 related and all-cause resource utilization were
16 higher in those meeting criteria for nonadherence.

17 Nonadherence to basal insulin therapy was
18 also associated with a 23 percent increase in the
19 probability of an acute diabetes complication over
20 the three-year follow-up period. Low adherence is
21 also the most common cause for recurrent diabetic
22 ketoacidosis. This retrospective study found that

1 the odds of recurrent DKA was 26 times higher among
2 those with poor adherence to insulin, even when
3 other known factors were accounted for.

4 Studies show that a lower frequency of
5 administration of injectable therapies improves
6 adherence. In this observational, retrospective,
7 real-world study in adults with type 2 diabetes,
8 treatment with once-weekly injectable GLP-1 was
9 associated with significantly higher persistence
10 and adherence when compared to daily GLP-1
11 regimens. The median time on therapy was 64 days
12 longer within the 12-month study compared with
13 daily injections. Adherence to therapy was
14 35 percent higher at 12 months among patients
15 receiving weekly injectables.

16 It is not surprising that therapies with
17 less frequent administrations improve adherence and
18 persistence. In fact, this has been shown in
19 multiple other therapeutic areas as well. Based on
20 existing information, as well as my clinical
21 experience, once-weekly insulin has the potential
22 to reduce barriers to insulin initiation, minimize

1 treatment burden, improve quality of life, and
2 increase treatment adherence and persistence.
3 Improved adherence is expected to translate to
4 better glycemic control, fewer diabetes related
5 complications, and lower healthcare utilization and
6 healthcare costs. Thank you. I will now turn the
7 presentation over to Dr. Gough.

8 **Applicant Presentation - Stephen Gough**

9 DR. GOUGH: Good morning. I am Stephen
10 Gough, Global Chief Medical Officer of Novo Nordisk
11 and a registered clinical endocrinologist. I'm
12 pleased to be here today to provide an overview of
13 the ONWARDS development program and discuss icodec
14 dosing.

15 The ONWARDS development program includes six
16 phase 3 randomized parallel group, multicenter,
17 multinational trials, comparing the efficacy and
18 safety of insulin icodec versus an active control.
19 The five studies in people with type 2 diabetes,
20 ONWARDS 1 through to 5, support use in an
21 insulin-naive population, the odd number studies,
22 as well as patients with prior basal insulin, the

1 even number studies.

2 ONWARDS 6 evaluated basal bolus therapy in
3 people with type 1 diabetes. ONWARDS 6 included a
4 26-week main phase with prespecified assessments of
5 efficacy, safety, and hypoglycemia. Long-term
6 safety was further evaluated in participants who
7 completed the main phase of the study and continued
8 into the 26-week extension. Please note, we chose
9 insulin degludec as the active comparator, as it is
10 recognized to result in less severe hypoglycemia
11 than insulin glargine.

12 The ONWARDS trials incorporated dosing
13 algorithms for participants who were new to insulin
14 and for those who transitioned from daily basal
15 insulin. Beginning with type 2 diabetes,
16 participants who were insulin naive received a
17 starting dose of 70 units of insulin icodec. For
18 participants who used daily basal insulin at
19 randomization, the first icodec dose was 1.5 times
20 the weekly equivalent of their previous daily dose
21 to more rapidly achieved steady state due to the
22 long half-life. The second dose was 7 times the

1 previous daily basal dose.

2 Dosing in type 1 diabetes additionally
3 considered baseline A1c and basal insulin regimen.
4 For participants with an A1c of less than
5 8 percent, icodec dosing was the same as for type 2
6 diabetes. Participants who previously used insulin
7 glargine U-300, or twice daily basal insulin, also
8 followed this algorithm. When baseline A1c was
9 greater than 8 percent, the icodec starting dose
10 was twice the weekly equivalent of the previous
11 basal dose.

12 Subsequent icodec dose titration was based
13 on the self-monitoring of blood glucose values.
14 Investigators adjusted the icodec dose weekly to
15 achieve target glucose values. In type 2 diabetes,
16 dose adjustments considered both the lowest and
17 mean blood glucose values. In type 1 diabetes,
18 dose adjustments were guided by the lowest value
19 between dose measured on the day of titration and
20 the 2 days before. The dose algorithm for the
21 daily basal insulin comparator arms was also
22 prespecified. The primary hypothesis across all

1 trials was that the change in Alc with insulin
2 icodec was noninferior to daily insulin comparator.

3 Additional secondary endpoints, including
4 multiple measures of glycemic control, were
5 collected. Some of these values were derived from
6 continuous glucose monitoring data. This allowed
7 us to compare the time spent within the target
8 glucose range and the amount of time below
9 54 milligrams per deciliter and above the target
10 range in the insulin icodec group versus the
11 comparator groups.

12 To collect these data, we used continuous
13 glucose monitoring, or CGM, to augment the more
14 traditional glycemic efficacy and safety
15 assessments. CGM provides accurate glucose values
16 and trends throughout the day and night.

17 ONWARDS 1, 2, and 4 included CGM in the last
18 4 weeks of the planned treatment period.

19 Participants and investigators were blinded to
20 these data. In ONWARDS 6, which evaluated patients
21 with type 1 diabetes, CGM data were collected
22 throughout the entire trial. Participants and

1 investigators were not blinded to these data.

2 A weekly insulin naturally raises concerns
3 about hypoglycemia, especially in type 1 diabetes
4 where counter regulatory mechanisms may be
5 dysfunctional; therefore, as part of our thorough
6 safety evaluation in participants with type 1
7 diabetes, we considered the potential for more
8 frequent or prolonged hypoglycemic episodes that
9 might prove challenging for participants to manage
10 by themselves. We also looked at the timing of
11 events with a particular focus on the relationship
12 to dosing. Furthermore, we investigated risk
13 factors associated with hypoglycemia.

14 We systematically examined hypoglycemia
15 episodes across the ONWARDS trials. These are
16 classified by severity level. Level 1 is an alert
17 value defined by glucose values between 54 and
18 70 milligrams per deciliter, while level 2 is a
19 clinically significant event defined as a plasma
20 glucose level below 54 milligrams per deciliter.
21 Level 3 events are categorized as severe and
22 include cognitive impairment and/or the need for

1 assistance from another person.

2 Prespecified analyses were conducted for
3 combined level 2 and level 3 hypoglycemia. In
4 addition, we performed a post hoc analysis of
5 clinically significant level 2 hypoglycemic
6 episodes using CGM-based data. CGM-based
7 hypoglycemia detection and reporting is well
8 established in current clinical guidelines and
9 regulatory guidance. This method provides a more
10 objective assessment of hypoglycemia, as it is
11 based on extensive data with 5-minute interval
12 glucose values; therefore, the results are not
13 impacted by the frequency of measuring nor manual
14 self-reporting by the participant, which is
15 required for the prespecified SMBG-based approach.
16 For consistency, the same CGM device was used
17 across the ONWARDS studies.

18 As shown in the briefing document, the
19 enrolled populations were generally representative
20 of the diverse diabetes population as seen in
21 clinical practice. These baseline demographics and
22 characteristics were balanced between the treatment

1 groups within each population, and more than
2 94 percent of participants randomized to receive
3 icodec completed the main trial period.

4 Baseline characteristics were well balanced
5 across treatment groups and reflect the populations
6 targeted for each trial. More than 30 percent had
7 mild to moderate renal impairment. Most
8 participants with type 1 diabetes had an A1c of
9 less than 8 percent, and more than 70 percent of
10 participants in ONWARDS 6 had a diabetes duration
11 of more than 10 years.

12 Thank you. I will now turn the presentation
13 over to Dr. Cailleteau.

14 **Applicant Presentation - Roman Cailleteau**

15 DR. CAILLETEAU: Thank you, and good
16 morning. My name is Roman Cailleteau, and I'm a
17 Senior Medical Director at Novo Nordisk. I will
18 start by summarizing the general safety data
19 gathered in the phase 3 program, which supports
20 that the overall safety profile of weekly insulin
21 icodec is similar to the well-established profile
22 of daily basal insulins.

1 The safety profile of insulin icodec has
2 been evaluated in a cohort of more than
3 2,100 participants enrolled in the phase 3 program.
4 While the focus of today's meeting is on type 1
5 diabetes, safety data gathered across the entire
6 population in the ONWARDS studies support the
7 overall benefit-risk profile of insulin icodec.

8 Results from the ONWARDS program demonstrate
9 that the overall safety profile of icodec is
10 similar to the well-established profile of daily
11 basal insulin. No unexpected findings or
12 unacceptable risks have been identified. The
13 majority of events in both groups were non-serious,
14 mild, and investigator judged as unlikely to be
15 related to trial product and resolved over time.
16 In participants with type 1 diabetes, a similar
17 pattern of events was observed with the exception
18 of hypoglycemia. This data can be found in our
19 briefing document.

20 I will now briefly review the efficacy
21 results in people with type 2 diabetes. In all
22 studies of people with type 2 diabetes, once-weekly

1 insulin icodec met the primary objective,
2 demonstrating noninferiority to daily basal insulin
3 or change in Alc. Shown here, insulin icodec is in
4 blue and the basal insulin comparators are in
5 shades of gray. Reductions in Alc among
6 participants receiving insulin icodec were
7 consistent across all populations, including those
8 who were insulin naive at baseline and those who
9 were switched to insulin icodec from daily basal
10 insulin. Please note that throughout the core
11 presentation, we only show p values for
12 prespecified, alpha protected analysis.

13 Next, we evaluated time within the target
14 glucose range, as measured by CGM. On this graph,
15 insulin-naive participants receiving icodec, shown
16 in the bar to the left, spent more than 70 percent
17 of time in range. This figure corresponds to the
18 recommended target in international treatment
19 guidelines.

20 Participants who switched to icodec from
21 daily basal insulin, the center bars, and those on
22 a basal bolus regimen, to the right, spent 63 and

1 67 percent of the time within range. In each case,
2 participants on a daily basal insulin experienced
3 comparable efficacy. In all trials where CGM was
4 used, time below range with icodec was aligned with
5 daily basal insulins. Participants receiving
6 insulin icodec spent 0.7 percent of the time or
7 less with glucose below 54 milligram per deciliter.

8 Let me now provide an overview of the
9 hypoglycemia episodes in the type 2 diabetes
10 population. A weekly insulin naturally raises
11 concerns about hypoglycemia, so we carefully
12 monitored hypoglycemia in all clinical trials.
13 This table presents a proportion of participants
14 with level 2 or 3 hypoglycemic episodes. Overall,
15 the majority of participants did not experience
16 hypoglycemic episodes, with the exception of those
17 receiving bolus insulin in ONWARDS 4.

18 The proportion of insulin-naive and
19 basal-switch participants experiencing a level 2
20 or 3 hypoglycemic episodes was numerically higher,
21 which is in icodec compared to daily basal
22 insulins. In these two populations, only one

1 participant reported a single level 3 hypoglycemic
2 episodes. Not surprisingly, among those also
3 taking bolus insulin in ONWARDS 4, the proportion
4 was higher than other ONWARDS trial. Notably, the
5 proportion experiencing level 2 or 3 hypoglycemic
6 episodes were similar between treatment groups.

7 The event rate differences are reflected by
8 the rate ratio numbers of level 2 or 3 hypoglycemic
9 episodes per patient year of exposure. Insulin
10 naive and prior basal insulin populations show a
11 higher rate with insulin icodec compared to daily
12 basal insulin. These differences were driven by
13 level 2 events. Importantly, the absolute events
14 rates were low in both treatment groups, with
15 individuals experiencing less than one episode per
16 patient year.

17 In summary, evidence from five randomized
18 control studies demonstrates that once-weekly
19 subcutaneous administration of insulin icodec
20 provides effective glycemic control in people with
21 type 2 diabetes. In terms of hypoglycemia risk,
22 absolute events rates of severe or clinically

1 significant hypoglycemic episodes were low, and
2 there was no excess of level 3 episodes with
3 insulin icodec. Hypoglycemic episodes with icodec
4 were manageable by participants consistent with
5 daily basal insulin products. Finally, the
6 titration algorithm provided safe and efficacious
7 dosing of insulin icodec.

8 Thank you. I will now turn the presentation
9 over to Dr. Gough.

10 **Applicant Presentation - Stephen Gough**

11 DR. GOUGH: Thank you. I will now present
12 the data demonstrating that a once-weekly
13 subcutaneous injection of insulin icodec provides
14 safe and effective glycemic control in participants
15 with type 1 diabetes. First, let me discuss the
16 efficacy results.

17 In ONWARDS 6, insulin icodec provided
18 noninferior reductions in A1c as compared to daily
19 basal insulin, in this case insulin degludec. As
20 shown on the left, the estimated treatment
21 difference, the change in A1c, was 0.05, meeting
22 the prespecified noninferiority criterion. The

1 figure on the right shows comparable decreases in
2 A1c from baseline through to week 26 among all
3 treated participants. Overall, these data show
4 that weekly insulin icodec behaves similarly to
5 insulin degludec for A1c reduction in type 1
6 diabetes.

7 CGM data collected during weeks 22 to 26 of
8 the main treatment period are shown here. The time
9 spent within the target range of 70 to
10 180 milligrams per deciliter was approximately
11 60 percent with both insulin icodec and insulin
12 degludec, and time spent below 54 milligrams per
13 deciliter was 1 percent in the insulin icodec arm,
14 at the threshold of the international guidelines of
15 less than 1 percent and comparable to the daily
16 insulin control group. Time spent below
17 70 milligrams per deciliter was less than 4 percent
18 in both treatment groups, meeting treatment
19 guidance targets. These data support similar
20 glycemic effects of weekly icodec and daily insulin
21 degludec.

22 Furthermore, evidence from ONWARDS 6

1 demonstrates that the dosing algorithm for insulin
2 icodec is effective in people with type 1 diabetes.
3 Presented here is mean self-monitored fasting blood
4 glucose throughout the main trial period.

5 Participants receiving insulin icodec were able to
6 effectively titrate to target on a weekly basis and
7 achieve fasting glucose levels comparable to those
8 in the insulin degludec group.

9 Mean CGM data during weeks 22 to 26 show the
10 day-to-day and within-day fluctuations in mean
11 glucose levels for insulin icodec and daily insulin
12 degludec. Focusing on weekly insulin icodec in
13 blue, the majority of glucose values were within
14 the target range. Day 1 represents the day of
15 icodec dosing. Overall, glucose values were
16 generally lower on days 2 through to 4 when
17 compared to day 6 through to 7.

18 Next, I'll provide an overview of the
19 hypoglycemia in people with type 1 diabetes. The
20 proportions of participants who reported
21 hypoglycemic episodes by classification level among
22 participants with type 1 diabetes are presented

1 here. As expected, almost everyone in the study
2 experienced a level 1 episode. A higher proportion
3 of participants in the insulin icodec group,
4 85 percent, reported level 2 episodes compared with
5 76 percent of participants receiving daily insulin
6 degludec; however, this did not translate into a
7 higher proportion reporting a level 3 episode, as
8 3 percent corresponding to 9 participants in each
9 group reported a severe episode.

10 Level 2 or 3 hypoglycemic episodes are
11 presented here by event rates and rate ratios for
12 the ONWARDS 6 trial. The numbers of events and the
13 rate ratios were higher among participants
14 receiving insulin icodec compared to insulin
15 degludec. This difference was primarily driven by
16 level 2 episodes. For level 3 episodes, 70 percent
17 of the 47 total hypoglycemic episodes observed with
18 insulin icodec occurred in a single participant who
19 experienced 33 episodes. Thus, the higher rate of
20 level 3 episodes is driven by this one participant.

21 Shown here are the number and proportion of
22 participants in each treatment group who reported

1 various numbers of level 3 hypoglycemic episodes
2 during the ONWARDS 6 study. One participant in the
3 icodec group and one in the insulin degludec group
4 reported five or more level 3 episodes.

5 Importantly, the individual treated with icodec who
6 experienced the 33 episodes did not withdraw and
7 remained in the study through the extension phase.

8 To illustrate the management of this
9 participant during the course of the trial, shown
10 on the next slide is the timing of level 3 episodes
11 and the changes to the basal insulin dose. Shown
12 here is total weekly basal insulin dose on the
13 Y-axis with time on the X-axis. Each level 3
14 episode experienced by the participant is depicted
15 by a vertical line.

16 As illustrated, the majority of the episodes
17 occurred during the first 8 weeks. The basal
18 insulin dose shown by the blue line was increased
19 despite the occurrence of several level 3 episodes.
20 After reducing the basal insulin by a third by
21 week 13, only one additional level 3 episode
22 occurred. Importantly, this example supports that

1 insulin icodec, similar to other basal insulins,
2 needs to be individualized to avoid hypoglycemia;
3 for example, by adjusting the insulin dose or
4 considering hypoglycemic risks associated with the
5 individual's characteristics, such as high glycemc
6 variability.

7 When hypoglycemia did occur, the same
8 management approaches were used for people in the
9 insulin icodec and the daily insulin degludec
10 groups. To illustrate this point, the management
11 of severe level 3 hypoglycemic episodes in the
12 ONWARDS 6 study is summarized here.

13 As I have shown you, more episodes occurred
14 with icodec than with insulin degludec; however,
15 the proportion that required medical assistance was
16 comparable in both groups, and most participants in
17 both treatment groups were treated with oral intake
18 alone for these severe episodes. Similar
19 proportions of participants were treated with
20 parenteral glucose in both treatment groups, while
21 3 episodes in 2 participants in the icodec group
22 were treated with glucagon.

1 To better understand the increased incidence
2 of hypoglycemia with insulin icodec versus insulin
3 degludec, we evaluated the temporal pattern over
4 the course of the dosing interval. Here, all
5 episodes over 26 weeks averaged within the 7-day
6 dosing interval are displayed by the day on which
7 they occurred, shown as the proportion of the total
8 weekly events. Day 1 is the day on which insulin
9 icodec was administered. A uniform distribution
10 over 7 days would be 14 percent in each day.

11 The risk of severe level 3, or clinically
12 significant level 2 hypoglycemia, was highest on
13 days 2 to 4 after icodec administration. This
14 predictable pattern is in line with the insulin
15 icodec pharmacodynamic profile as shown earlier by
16 CGM measured glucose over the week.

17 As presented in the introduction,
18 hypoglycemia was also evaluated using CGM-based
19 data. This analysis showed a more reassuring rate
20 ratio for level 2 hypoglycemic episodes compared to
21 self-monitored blood glucose. In line with current
22 clinical guidelines and regulatory guidance, CGM

1 provides an unbiased perspective that is most
2 representative of actual blood levels. This more
3 objective assessment shows a rate ratio for level 2
4 hypoglycemia of 1.38 that was lower than the value
5 of 1.88 based on self-reported episodes of
6 hypoglycemia.

7 Next, we evaluated the duration of
8 hypoglycemic episodes using the continuous glucose
9 monitoring data from weeks 22 to 26 in participants
10 with type 1 diabetes. In this analysis, a CGM
11 level 2 hypoglycemic episode is defined when
12 interstitial glucose is below 54 milligrams per
13 deciliter for more than 15 minutes. The duration
14 of the episode is calculated as the time spent with
15 glucose below 70 milligrams per deciliter. The
16 distribution of episode durations was comparable to
17 insulin icodec and insulin degludec, with most
18 episodes in both treatment groups lasting 30 to
19 90 minutes.

20 As an additional means of understanding the
21 risk of hypoglycemia and developing strategies to
22 optimize icodec's benefit-risk, we evaluated the

1 potential contribution of specific participant
2 characteristics to the risk of hypoglycemia. These
3 comprehensive analyses conclude that no participant
4 characteristics predict for a difference in
5 relative risk of hypoglycemia between insulin
6 icodec and insulin degludec. In addition, no
7 subgroups were identified with a differential
8 benefit-risk profile with icodec; however, some of
9 the subgroups demonstrated a lower absolute risk of
10 hypoglycemia, which can benefit physicians when
11 managing hypoglycemia risk.

12 First, we analyzed data from both ONWARDS 6
13 treatment arms to better understand whether any
14 specific characteristics could uniquely predict
15 risk of hypoglycemia among people on insulin
16 icodec. As detailed in the briefing document, we
17 evaluated a number of parameters and identified no
18 unique risk factor for insulin icodec.

19 Presented in this forest plot are the rate
20 ratios of hypoglycemia by key baseline
21 characteristics, including BMI, diabetes duration,
22 and glycemic variability within each treatment arm.

1 Among the parameters that we assessed, glycemic
2 variability derived from CGM data had the greatest
3 impact on hypoglycemia risk in both treatment arms,
4 with lower variability associated with the lowest
5 absolute risk. These results are consistent with
6 those reported in peer-reviewed literature for
7 other forms of insulin.

8 Importantly, all risk factors affecting the
9 risk of hypoglycemia impacted insulin icodec and
10 insulin degludec equivalently. Thus, no subgroups
11 for insulin icodec were identified who had a
12 differential hypoglycemia risk compared to insulin
13 degludec.

14 So, how can we use this information to
15 optimize the benefit-risk of icodec in clinical
16 practice? Let's focus first on glycemic
17 variability derived from CGM. As I just showed
18 you, low glycemic variability, defined by ADA
19 guidelines as 36 percent or less, was associated
20 with lower hypoglycemic risk in both treatment
21 arms. As shown to the left, the absolute rate of
22 level 2 or level 3 hypoglycemic episodes was

1 50 percent lower in the low variability subgroup
2 versus the overall population in both treatment
3 groups. As a result, the rate ratio of
4 hypoglycemia between icodec and degludec remains
5 similar. As illustrated to the right, the lower
6 absolute hypoglycemia rate was associated with
7 similar A1c lowering versus the overall population
8 in both groups.

9 This knowledge could help prescribers and
10 patients to individualize treatment. For example,
11 if a prescriber were considering using icodec for
12 an individual who has high glycemic variability,
13 this information can be used to help optimize
14 treatment by adjusting the glycemic target or
15 reducing the insulin dose.

16 Next, ONWARDS 6 established that the dosing
17 regimen for insulin icodec can be used safely and
18 effectively for most people with type 1 diabetes;
19 however, given the PD profile of insulin icodec, a
20 bolus adjustment may optimize the benefit-risk for
21 some people. To better inform how to individualize
22 therapy, we performed modeling to evaluate the

1 potential impact of adjusting bolus insulin doses.
2 As part of this activity, we considered which
3 information could be used in a clinical setting to
4 reduce the risk of hypoglycemia while maintaining
5 good glycemic control for each individual. We also
6 evaluated which information from this analysis
7 could be most effective for informational materials
8 for prescribers and people considering using
9 insulin icodec.

10 We performed simulations to predict the
11 impact of alternative bolus insulin dosing
12 strategies. The simulation model considered the
13 pharmacodynamic profile of insulin icodec,
14 degludec, and insulin aspart; meal intake; and
15 behavioral elements associated with a glucose
16 turnover model. This model was adapted from a
17 published model. As detailed in the FDA briefing
18 book, there is alignment of modeled results and the
19 observed results in ONWARDS 6, confirming the
20 predictive value of the model.

21 Here we have modeled reducing the bolus
22 insulin dose by 30 percent on days 2 to 4 without

1 changing the basal insulin algorithm. This model
2 accounts for the pharmacodynamic profile of insulin
3 icodec with a maximal effect on days 2 to 4. As
4 shown on the left, the rate of level 2 hypoglycemic
5 episodes is almost 50 percent lower, 13 versus
6 21 episodes per year of exposure with the bolus
7 dose reduction. This value approximates the
8 11 episodes per year from the degludec simulation.

9 This reduced rate of hypoglycemia was
10 achieved with a minor reduction in A1c lowering as
11 illustrated to the right. ONWARDS 6 shows that
12 this modification in bolus dosing would not be
13 necessary for all patients. This information may
14 also help clinicians and patients individualize
15 treatment. For example, if a person with type 1
16 diabetes were to initiate insulin icodec and was at
17 risk of experiencing excess hypoglycemia, the
18 prescriber could suggest bolus insulin dose
19 reductions on days 2 to 4.

20 As I've shown you here, and in the briefing
21 document, we identified no unique hypoglycemia risk
22 factor for insulin icodec. This finding indicates

1 that known hypoglycemia risk mitigation methods
2 used for daily insulins can be applied when
3 prescribing insulin icodec. These include measures
4 such as adjustment of the titration target or dose
5 reductions.

6 We've provided two examples of how
7 prescribers and patients might use insights on
8 individual glycemc variation and of icodec's
9 pharmacodynamic profile to individualize treatments
10 in specific situations. These examples illustrate
11 that physicians may be able to mitigate and reduce
12 the risk of hypoglycemia in people with type 1
13 diabetes who would benefit from having a weekly
14 insulin available as a treatment option.

15 In summary, the data support a positive
16 benefit-risk with insulin icodec, providing safe
17 and effective glycemc control in people with
18 type 1 diabetes. Icodec was noninferior to insulin
19 degludec for reduction in A1c, and the reductions
20 persisted throughout the treatment. No clinically
21 meaningful differences in CGM measured time below
22 target glucose range or time above range were

1 observed among patients receiving icodec or daily
2 insulin degludec.

3 Hypoglycemia occurs with insulin icodec,
4 just as with any basal insulin product. In
5 participants with type 1 diabetes, a higher rate of
6 level 2 and 3 hypoglycemia was associated with
7 weekly insulin icodec as compared with insulin
8 degludec, primarily driven by a higher rate of
9 level 2 episodes. Importantly however, the
10 clinical trials demonstrated that hypoglycemic
11 episodes with icodec were manageable by
12 participants using the same instructions and
13 methods used for insulin degludec.

14 In addition, the mean duration of
15 hypoglycemic episodes was not longer with weekly
16 insulin icodec compared with daily insulin
17 degludec. Reassuringly, knowledge that recognized
18 hypoglycemia risk factors consistently predict the
19 increased episodes, and awareness of the icodec
20 pharmacodynamic profile supports the application of
21 well-known strategies to mitigate the risk of
22 hypoglycemia. Thank you. Let me now give the

1 lectern back to Dr. Lingvay.

2 **Applicant Presentation - Ildiko Lingvay**

3 DR. LINGVAY: Thank you.

4 Let me provide my clinical perspective on
5 the potential use of insulin icodec in clinical
6 care. Based on the ONWARDS clinical trial program,
7 I conclude that for people with type 2 diabetes,
8 the benefit-to-risk balance of insulin icodec is
9 undeniably favorable. Insulin icodec provided
10 glycemic control that was noninferior to daily
11 basal insulins with no difference in level 3
12 hypoglycemia. There was a small imbalance in
13 level 2 hypoglycemia, but the absolute numbers were
14 so low that they are unlikely relevant to clinical
15 practice. In the insulin-naive population, this
16 imbalance amounts to one additional episode of
17 level 2 hypoglycemia for every 6 years or longer of
18 treatment with icodec.

19 There were no safety concerns identified,
20 however, in the ONWARDS 6 trial, which evaluated
21 insulin icodec in people with type 1 diabetes, the
22 rate of hypoglycemia was significantly higher

1 compared to insulin degludec. Several points are
2 noteworthy to understand the impact of this finding
3 on clinical care and the benefit-risk balance.

4 First, the same patient characteristics are
5 associated with a higher risk of hypoglycemia with
6 icodec as with daily basal insulins and are
7 therefore already known to providers. For example,
8 people with a higher glucose variability on CGM,
9 those with history of recurrent or severe
10 hypoglycemia, and hypoglycemia unawareness or
11 multiple comorbidities have a higher risk of
12 hypoglycemia. Providers will have to consider the
13 baseline risk for hypoglycemia when selecting the
14 right candidate for the treatment with weekly
15 insulin.

16 Second, days 2, 3, and 4 after each weekly
17 injection of icodec are the days with the highest
18 risk of hypoglycemia. This is consistent with
19 icodec's pharmacodynamic profile that has a
20 peak-to-trough ratio of 1.8 over 1 week.

21 Interestingly, the peak to trough of insulin
22 glargine is also 1.8, albeit over 1 day; yet,

1 practitioners and patients learned how to use
2 glargine safely and effectively.

3 Knowing icodec's action profile will enable
4 practitioners and patients to proactively make
5 adjustments to treatment, meals, or activity as
6 appropriate to prevent hypoglycemia. Providers and
7 patients will learn how to best use this new
8 insulin just as they learned how to use glargine
9 and all other insulins.

10 When hypoglycemia did occur in the
11 development program, it is reassuring that the
12 duration and treatment were similar to that with
13 daily insulin. It is also relevant that 97 percent
14 of participants in both groups experienced no
15 level 3 hypoglycemia. When hypoglycemia occurs in
16 clinical practice, further treatment
17 individualization will be applied as it is for all
18 insulins.

19 Insulin icodec will be a particularly
20 valuable treatment option for people with type 1
21 diabetes who could benefit from its once-weekly
22 administration. Some examples include individuals

1 who struggle with therapy adherence; who have
2 recurrent admissions for diabetic ketoacidosis; who
3 rely on caregivers for treatment administration;
4 those institutionalized or in care facilities;
5 those who consider daily basal insulins too
6 burdensome; young adults; or those with
7 unpredictable daily schedules like shift workers.

8 It is important to note that these special
9 populations were not enrolled in the ONWARDS
10 trials; however, these populations are almost never
11 studied in clinical trials, yet clinicians learn
12 how to individualize insulin therapy to the needs
13 of each patient. Only about 425,000 people in the
14 U.S. continue to use insulin injections rather than
15 an insulin pump. This group is enriched with
16 people who might benefit from a once-weekly
17 insulin.

18 For people with type 1 diabetes, treatment
19 individualization is the key to the safe use of
20 weekly insulin icodec. Clinical trials are helpful
21 to establish population level safety and efficacy;
22 however, the restrictions and inflexibility on

1 clinical trials do not reflect clinical practice,
2 where we treat each individual according to their
3 unique situation and needs, including their
4 beliefs, struggles, and personal choices.

5 Providers who treat patients with type 1 diabetes
6 are already familiar with treatment
7 individualization and hypoglycemia management.

8 The risk of hypoglycemia with insulin icodec
9 treatment can be effectively mitigated using the
10 same general concepts already used in clinical
11 practice. Treatment adjustments commonly made in
12 practice to mitigate hypoglycemia include
13 alterations in titration frequency or increments
14 decreasing insulin dose; relaxing the glycemic
15 target; splitting the insulin dose; modifying daily
16 bolus insulin coverage; or if needed, switching to
17 a different insulin. People with type 1 diabetes
18 who could improve adherence with a weekly insulin
19 or those who desire the convenience of fewer
20 injections should not be denied the option of a
21 weekly insulin.

22 Every treatment we employ in medicine has

1 benefits and risks, and the art of medicine is
2 understanding this balance and ensuring that for
3 each individual patient this balance is favorable.
4 With that in mind, this is how I view the balance
5 for insulin icodec.

6 Icodec is an ideal basal insulin for most of
7 the 7.4 million people with type 2 diabetes in the
8 U.S. who require insulin treatment. Icodec has
9 proven its glucose lowering efficacy and its
10 overall safety in this population while comforting
11 the invaluable benefit of convenience. On the
12 other hand, icodec is a valuable treatment option
13 for some people with type 1 diabetes who still use
14 daily insulin injections. This population will
15 have to be carefully selected to ensure they
16 benefit from a weekly basal insulin administration
17 and do not have a high risk of hypoglycemia.

18 In clinical practice, the known risk of
19 hypoglycemia on days 2 through 4 of administration
20 can be safely managed at an individual patient
21 level by active monitoring and appropriate
22 adjustments to therapy and lifestyle. Ultimately,

1 icodec's benefit is the reduced treatment burden, a
2 benefit of 313 fewer injections per year.

3 I wanted to end by sharing the sentiments of
4 the people who participated at my site in the
5 icodec clinical trials and were randomized to the
6 icodec arm. They were unanimously disheartened at
7 the end of their respective time in the clinical
8 trial when they had to switch back to a daily basal
9 insulin. They made me promise that I will do
10 everything in my power to help make this insulin
11 available to them again. This is why I stand here
12 today. Thank you. I will now turn the
13 presentation to the sponsor to conclude.

14 **Applicant Presentation - Stephen Gough**

15 DR. GOUGH: Thank you, Dr. Lingvay.

16 I will now conclude the presentation.
17 Starting with type 2 diabetes, evidence from five
18 randomized-controlled trials demonstrates that the
19 benefits of once-weekly subcutaneous injection of
20 insulin icodec outweigh the potential risks in
21 people with type 2 diabetes. In all studies,
22 once-weekly insulin icodec met the primary

1 objective, demonstrating noninferiority to daily
2 basal insulin for change in A1c. The A1c and blood
3 glucose benefits were sustained within target
4 ranges. Importantly, the safety profile of icodec
5 was similar to the well-established profile of
6 daily basal insulin, and there was a low absolute
7 event rate of hypoglycemic episodes.

8 Moving now to type 1 diabetes, insulin
9 icodec demonstrated noninferior reductions in A1c
10 compared to insulin degludec. The proportion of
11 participants achieving A1c targets and the time
12 spent within target glucose range was similar
13 between insulin icodec and insulin degludec, and
14 the overall safety profile was consistent with
15 insulin degludec.

16 Hypoglycemia with insulin icodec in
17 participants with type 1 diabetes did occur at a
18 higher rate compared with insulin degludec, and we
19 acknowledge the importance of this finding.
20 Evidence from ONWARDS 6 and our modeling support
21 that the higher hypoglycemia risk is manageable and
22 can be mitigated, consistent with daily basal

1 insulin products. Episodes of hypoglycemia
2 occurring with insulin icodec are no different than
3 those occurring with daily basal insulins. The
4 duration and management of these events will be the
5 same with insulin icodec as with daily basal
6 insulins.

7 Importantly, evidence from ONWARDS 6
8 identified no unique characteristics that place any
9 subgroup at differential relative hypoglycemia risk
10 versus insulin degludec. Since hypoglycemia is the
11 most common risk with any insulin, physicians are
12 already familiar with risk factors and mitigation
13 strategies to avoid it. We will reinforce these
14 measures for healthcare professionals and patients
15 who use weekly insulin icodec.

16 Based on our extensive investigations and
17 analyses, we have proposed strategies to lessen the
18 risk of hypoglycemia and enhance the benefit-risk
19 of insulin icodec in people with type 1 diabetes.
20 The first step occurs with the selection of
21 individuals, identifying those who could benefit
22 from less frequent dosing. An important aspect of

1 selection will be avoiding those with inherently
2 high risk of severe hypoglycemia like those with
3 hypoglycemic unawareness or people who experience
4 recurrent severe hypoglycemia. For example, the
5 available materials and label will make clinicians
6 and patients aware that hypoglycemia risk could be
7 increased on days 2 to 4 of the dosing cycle,
8 recognizing that some, but not all people, could be
9 affected.

10 We also recommend CGM use for people with
11 type 1 diabetes treated with icodec since this will
12 be the best aid as a guide for needed dose
13 adjustments. Healthcare professionals are well
14 acquainted with managing hypoglycemia, and these
15 measures align with today's individualization of
16 antihypoglycemic therapy such that dosing will be
17 adapted to the needs of each person. We look
18 forward to working with the FDA to align on product
19 labeling and tools to enhance the patient's
20 experience based on today's discussion and your
21 insights.

22 Finally, insulin icodec represents a

1 valuable option for people with type 1 diabetes who
2 use a basal bolus regimen to manage their diabetes
3 and who want to reduce the burden of daily
4 administration. Our presentation demonstrates that
5 this can be accomplished with a favorable
6 benefit-risk. Thank you. We'll be happy to
7 address your questions.

8 **Clarifying Questions to Applicant**

9 DR. LOW WANG: Thank you so much for these
10 presentations.

11 We will now proceed to clarifying questions
12 for Novo Nordisk. Please use the raise-hand icon
13 to indicate that you have a question and remember
14 to lower your hand by clicking the raise-hand icon
15 again after you've asked your question. When
16 acknowledged, please remember to state your name
17 for the record before you speak and direct your
18 question to a specific presenter, if you can. If
19 you wish for a specific slide to be displayed,
20 please let us know the slide number, if possible.
21 Finally, it would be helpful to acknowledge the end
22 of your question with a thank you and end of your

1 follow-up question with, "That is all for my
2 questions," so we can move on to the next panel
3 member.

4 I would like to take the chair's prerogative
5 and start with the first question. First of all,
6 this was really great information, so I appreciate
7 that. I was surprised there were no
8 characteristics that identified those who are at
9 higher risk for hypoglycemia that possibly
10 increased glycemic variability. Of course,
11 clinical trial settings are not necessarily similar
12 to real-world settings, and they might
13 underestimate the rate of hypoglycemia, so I was
14 wondering about exclusion criteria.

15 Were patients with hypoglycemia unawareness
16 or recurrent hypoglycemia excluded from the trial
17 for type 1 diabetes?

18 DR. GOUGH: Yes, that is correct. In type 1
19 diabetes, we had a fairly broad inclusion criteria,
20 but those two characteristics that you describe in
21 terms of hypoglycemic awareness and severe
22 recurrent hypoglycemia, they were an exclusion

1 criteria for ONWARDS 6, as is standard in these
2 trials at this stage.

3 DR. LOW WANG: Alright. Thank you.

4 So let's take questions from our panel.

5 First, Dr. Kalyani.

6 DR. KALYANI: Thank you for those really
7 insightful presentations. I had a few
8 clarifying --

9 DR. LOW WANG: I'm sorry. Can you please
10 state your name?

11 DR. KALYANI: Yes. Hi. Rita Kalyani. I
12 had a few clarifying questions. The first is to
13 follow up on the question from Dr. Low Wang about
14 subgroups. You presented a really nice slide about
15 subgroups; however, I wondered if you also
16 stratified the frequency of hypoglycemia by
17 baseline Alc. Given the profile that we see for
18 our weekly insulin icodec, you might expect that
19 perhaps those with a higher baseline Alc within
20 that 7 to 10 percent eligibility would have a lower
21 frequency, and I was curious if that was an
22 a priori stratification on what you found.

1 DR. GOUGH: What I can tell you is that we
2 have looked at a number of baseline characteristics
3 and a number of clinical characteristics within our
4 patients, and the the risk factors that we
5 identified for hypoglycemia are those risk factors
6 that are well reported in the literature. So, for
7 example, this included, in addition to high
8 glycemic variability, a longer duration of diabetes
9 and a lower body mass index.

10 We did not specifically find a relationship
11 to the baseline A1c that you described, and
12 certainly not a significant clinical relationship
13 to baseline A1c, but the risk factors we did
14 identify are those risk factors that you see in the
15 literature; and the other important point is they
16 were comparable between both insulin icodec and
17 insulin degludec.

18 DR. KALYANI: Thank you. I had one other
19 question as well. As mentioned very nicely in the
20 background, we would expect that a weekly injection
21 could potentially improve medication taking
22 behavior and reduce treatment burden. However, in

1 the diabetes questionnaire that was given to
2 participants in ONWARDS 6, it was actually not
3 found as hypothesized; in fact, some of the
4 questions such as would you recommend this to
5 someone else with diabetes compared to insulin
6 degludec and how flexible have you been finding
7 your treatments, icodec versus degludec were
8 unfavorable for the icodec arm. And while these
9 were aggregate data and don't represent individual
10 preferences, I wonder if you could comment a little
11 bit more on why that might have been.

12 DR. GOUGH: Yes. So you're correct that in
13 ONWARDS 6, we conducted the Diabetes Treatment
14 Satisfaction Questionnaire, which is a PRO looking
15 at treatment satisfaction. I think it's important
16 to point out that there are, as you know,
17 significant limitations of conducting PROs within a
18 randomized-controlled trial.

19 If we look at what would be considered a
20 clinically relevant improvement in the score based
21 on the literature of 0.5 standard deviation
22 improvement from baseline, we saw an improvement in

1 the total score of 45 percent for insulin icodec
2 and 48 percent for insulin degludec, but as you
3 say, there are many limitations in conducting
4 patient-reported outcomes studies in an RCT such as
5 ONWARDS 6. But maybe I could call upon my clinical
6 expert to comment a little bit further on where she
7 would see the value of this once-weekly insulin in
8 terms of patient satisfaction, so I'll call upon
9 Dr. Lingvay.

10 DR. LINGVAY: Thank you. Ilda Lingvay. I
11 think there are a few points that we need to be
12 aware of. The first one is, in these studies,
13 patients that get enrolled are not the ones that
14 necessarily might benefit the most from insulin
15 icodec, so that needs to be kept in mind. The
16 second point that's very important, both groups
17 improved their treatment satisfaction while in the
18 study. In fact, it's interesting that that
19 happened because half of the people at baseline
20 that were randomized with degludec, they actually
21 were on degludec prior to the study, so it's
22 interesting that they improved their treatment

1 satisfaction so much even though they didn't really
2 change their treatment. So I think that
3 illustrates how difficult it is in a clinical trial
4 to actually pick up on these differences in
5 quality-of-life or treatment satisfaction.

6 DR. KALYANI: Thank you.

7 DR. GOUGH: Thank you.

8 DR. KALYANI: I do have some other
9 questions, but I'll let others ask theirs, and then
10 ask mine at the end. Thank you very much.

11 DR. LOW WANG: Perfect. Thank you.

12 Next, I'd like to call on Dr. Brittain.

13 DR. BRITTAIN: Hi. It was a very nice
14 presentation. Thank you. I want to bring up
15 slide CO-55. Obviously, there's a very big
16 difference across the the dosing week, and I'm
17 asking a question from a little bit of a different
18 angle, not the hypoglycemia angle. But day 6 and
19 7, or maybe day 1, I wonder if there's potential
20 for more high glucose readings those days and if
21 that's something that you looked at, if you looked
22 at time in range, time above range, time below

1 range as a function of each of the dosing days.

2 DR. GOUGH: Yes, we did do that, yes, and
3 clearly, that's an important consideration. What I
4 can share with you are the continuous glucose
5 monitoring the time within range, the time above
6 range, and time below range on each of the days of
7 the week.

8 So what I'm showing you here is, first of
9 all, CGM ranges -- let me just orientate you -- is
10 the same as the core presentation, but the green is
11 time within range, the yellow and orange are time
12 above range, and then the gray and red, time below
13 range. On the Y-axis, we see percentage time in
14 range, and then on the X-axis, we're looking at the
15 day of the dosing cycle, so day 1 is the day in
16 which insulin icodec is administered. And what you
17 can see here is that over the period, there is more
18 time in range on days 2, 3, and 4, but importantly,
19 even days 6 and 7 -- over 58 percent on day 6 and
20 56 percent on day 7 -- also showed time in range,
21 and importantly no increase in time below range.

22 So there are some differences over the week,

1 but I think what's also important is then when we
2 look at A1c, we have noninferiority compared to a
3 once-daily insulin, showing that once-weekly
4 insulin icodec is effective in terms of delivering
5 glycemic control across the week, including within
6 its pharmacodynamic profile.

7 DR. BRITTAIN: Okay. Thank you.

8 DR. LOW WANG: Next, Onumah.

9 DR. ONUMAH: Hi. Barbara Onumah. Thank you
10 for the presentation. I just have two follow-up
11 questions. One is specifically for the question
12 about the FDA requirements or requests to do a
13 third arm in the type 1 study that should include
14 the 2 times per week dose. We didn't get any
15 information on that, and I was wondering if that
16 was done.

17 DR. GOUGH: Yes. First of all, I'd very
18 much like to say that we very much appreciate the
19 constructive dialogue that we had with the agency
20 around the development of the phase 3 program and
21 the use of a twice-weekly insulin icodec. We opted
22 to go for once-weekly insulin icodec based on the

1 terminal half life, which is over 170 hours, and
2 our earlier data supported that we would be able to
3 administer insulin icodec once weekly.

4 The aim of developing insulin icodec was to
5 have, and is to have, a once-weekly insulin. If it
6 was to be administered twice weekly, I think that
7 would add to the complexity of diabetes treatment
8 in patients with type 1 diabetes. So, for example,
9 which day of the week would it be taken if it was
10 to be split into a twice-weekly injection? Would
11 it be 3 days apart? Would it be 4 days apart?

12 So the clinical utility of twice-daily
13 insulin icodec I think would be questionable, but
14 when you look at the data that we generated in
15 ONWARDS 6, we've demonstrated noninferiority, we've
16 demonstrated similar time in range, we've
17 demonstrated similar time below range in keeping
18 with international guidelines, and with a very low
19 rate of level 3 hypoglycemia with 3 percent in each
20 treatment arm, no difference in terms of the
21 proportion of patients with a similar safety
22 profile. And when hypoglycemia does occur -- and

1 we acknowledge that hypoglycemia does occur -- it
2 can be managed in exactly the same way as a
3 once-daily insulin.

4 So we very much appreciated the dialogue
5 with the FDA, but ultimately our ambition was to
6 develop a once-weekly insulin, which we believe
7 we've demonstrated with ONWARDS 6.

8 DR. ONUMAH: Thank you. One quick follow-up
9 question was about the modeling. I think it was
10 great that there were a few models that were
11 presented that could guide clinicians in how they
12 could do adjustments in prescribing this insulin
13 for all persons with diabetes, including persons
14 with type 1 diabetes. I was wondering if there was
15 any modeling considered for inpatient or persons
16 who are hospitalized, or if there was any
17 information noted for hypoglycemic episodes that
18 happened during those times, because as one could
19 imagine, when people are in an acute setting for
20 illness, their insulin needs and requirements
21 change, and hyperglycemia, as well as hypoglycemia,
22 could occur.

1 DR. GOUGH: Yes. Thank you very much for
2 that question. Within the ONWARDS program,
3 including in ONWARDS 6, we did have patients, as
4 you would expect, who were hospitalized for both
5 medical and surgical reasons. And when we look at
6 the rates of hypoglycemia and the management of
7 glycemia while patients were in hospital, it was no
8 different between those that were admitted on a
9 once daily versus those with a once weekly.

10 So our data support that the management of
11 patients who are hospitalized on once-weekly
12 insulin can be exactly the same as that for a once
13 daily. And what I would point out is I can tell
14 you that in our ONWARDS 6 trial, in patients with
15 type 1 diabetes, there were no level 3 hypoglycemic
16 episodes during hospitalization for either insulin
17 icodec or indeed daily basal insulin.

18 DR. ONUMAH: Thank you.

19 DR. LOW WANG: Thank you.

20 MR. TIBBITS: Thank you, and thank you for
21 the presentation. Dr. Onumah, thank you for asking
22 one of my questions about the twice-per-week

1 treatment regime.

2 My other question is you referenced approval
3 by other regulatory authorities, Switzerland,
4 Canada and EMA, I believe. Can you talk about any
5 labeling restrictions or other risk mitigation
6 strategies that those regulatory authorities may
7 have asked in the type 1 setting?

8 DR. GOUGH: Certainly. As you point out,
9 there are three areas where insulin icodec has
10 already been approved for use in patients with
11 type 1 and type 2 diabetes, and to give you some
12 insights from those other regulatory authorities, I
13 will call upon Shawn Hoskin, our Executive Director
14 in Regulatory Affairs here in the U.S.

15 MR. HOSKIN: Shawn Hoskin. The indications
16 for the products that were approved for icodec,
17 that was approved in Canada, EU, and Switzerland,
18 is a complete indication, so it's for once-weekly
19 treatment of adults with diabetes mellitus to
20 improve glycemic control for both type 1 and type 2
21 diabetes. There is information in all the labels
22 which describe that the maximum glucose lowering

1 effect occurs during days 2 to 4, and that is
2 important information because there's a potential
3 increased risk of hypoglycemia on those days.
4 There are also risk mitigations that icodec should
5 not be used in patients with a history of
6 hypoglycemia unawareness, and that if a patient
7 does experience recurrent hypoglycemia, they should
8 consult their healthcare provider to consider
9 treatment adjustments or alternative treatment
10 options.

11 Within the EU label, I think it's relevant
12 that there's a risk mitigation that patients with
13 type 1 diabetes should only be treated with insulin
14 icodec if there's a clear benefit from a
15 once-weekly pathology expected. I think those are
16 the highlights of the relevant risk mitigation from
17 the three different areas where icodec has already
18 been approved.

19 MS. TIBBITS: Great. Thank you very much.

20 DR. LOW WANG: Okay. Terrific. Thanks.

21 Next, Dr. Nason.

22 DR. NASON: Thank you. Martha Nason from

1 NIH. My question is about the subgroups using CV.
2 In these analyses, the CV was measured after the
3 baseline, so it was measured on the first 2 weeks,
4 I believe, of treatment with either icodec or the
5 daily comparator, which obviously are different, so
6 that may not select the same patients as below 36
7 when some of them are responding to the icodec and
8 some of them to the daily.

9 So I was wondering if you'd been able to
10 measure CV at baseline and could do the same
11 subgroup analysis using their baseline CV. And as
12 a tangential question in trying to think whether
13 the CV would be useful clinically moving forward,
14 how available -- this is the question I guess for
15 the clinicians -- and reliable is CV in a clinical
16 setting if a patient is coming in and interested in
17 this treatment? How much would you have that
18 information and how reliable would it be?

19 DR. GOUGH: There are a number of components
20 to that question or a number of ways that I would
21 like to answer it. The first is that we have
22 looked at the glycemc variability throughout the

1 whole duration of the trial, over 52 weeks, and I
2 think you've seen these data in the FDA briefing
3 book, and what you can see is the consistency over
4 the full period, so over 52 weeks, both insulin
5 icodec and insulin degludec, there's consistency in
6 terms of that glycemic variability even though we
7 didn't have it pre-randomization, and I think
8 that's extremely reassuring that the glycemic
9 variability isn't changing. We can also show you
10 that we can assess glycemic variability based on
11 either CGM or SMBG values, and there's a very
12 strong correlation between those.

13 In terms of do we have any data
14 pretreatment, maybe what I can do is call upon my
15 senior medical director, Roman Cailleteau, who can
16 tell you something very briefly about our phase 1
17 trial where we did have some of these data.

18 DR. CAILLETEAU: Roman Cailleteau. Just to
19 confirm, in our ONWARDS 6 trials in phase 3A, we
20 did not have baseline CV, so we cannot reproduce
21 the different analysis that we showed you during
22 the presentation or one I think in the FDA briefing

1 book with baseline CV. However, we have looked
2 into -- and that has been said already -- how
3 stable is the glycemic variability over time and
4 what we had in our trial, could it be predicting,
5 for example, if we selected patients at baseline,
6 so before introducing insulin icodec.

7 We had a clinical pharmacology trial where
8 we treated patients with insulin icodec, and it was
9 a crossover design. So we have one of the arms,
10 and you can see it's a highlight of this trial
11 scheme where patients started 2 weeks on
12 insulin glargine and then they switched to insulin
13 icodec for an 8-week treatment.

14 We've looked, and we had CGM for both of
15 these periods. So we've looked into the CV and if
16 it was stable when switching from insulin glargine
17 for this sequence that was a crossover to insulin
18 icodec, and as you can see it's 2 weeks for insulin
19 glargine and the first 14 days or the first 2 weeks
20 for insulin icodec. The mean CV is very stable, so
21 35.76, and it's stable then around 35.86, so very
22 stable, and there was almost no patients switching

1 between the groups.

2 DR. NASON: So this doesn't actually say
3 whether they were individually stable. But you're
4 saying they were if you looked at, say, a scatter
5 plot of their CV on the first one versus the
6 second, that would also be very linear, I guess,
7 very highly correlated.

8 DR. CAILLETEAU: Yes, we can confirm that.

9 DR. NASON: Great. Thank you.

10 DR. LOW WANG: Thank you.

11 Next, Dr. Kalyani.

12 DR. KALYANI: Thanks. Rita Kalyani. So my
13 question has to do with the dose titration schedule
14 that was done in ONWARDS 6. In the supplementary
15 tables 2 and 3, it describes the weekly titration
16 that was done for both basal and bolus insulin, and
17 not surprisingly, insulin icodec had a higher
18 proportion of basal to bolus insulin that was given
19 compared to degludec, consistent with the findings
20 that the efficacy was similar with a higher
21 hypoglycemia.

22 So I found it interesting that it was

1 described that some participants on their own
2 increased either bolus insulin during days 2 to 4
3 or they reduced it during days 2wo to 4, and I was
4 curious how often dose titrations had to occur on a
5 weekly basis in this clinical trial and how often
6 one might expect that in a real-world setting,
7 people with diabetes would be able to individually
8 titrate their doses to accommodate what we know is
9 the peak and the wearing off of active insulin
10 icodec.

11 DR. GOUGH: Yes. So we did have patients
12 within ONWARDS 6 who reduced their bolus dose.
13 They reduced their bolus dose on days 2, 3, and 4.
14 I think it's important for me to point out that
15 they they only reduced their bolus dose in a
16 reactive way by a very small order of magnitude.
17 So our modeling would recommend that around a
18 30 percent dose reduction, which would equate to
19 1 to 2 units with each meal, would be helpful in
20 terms of mitigating or reducing the risk of
21 hypoglycemia.

22 In our clinical trial, we didn't see that.

1 We didn't see that magnitude of reduction, so we
2 unfortunately wouldn't then have seen that
3 contribution to reduction in terms of hypoglycemia.
4 But as I say, the order of magnitude we're talking
5 about is that what we would see in routine clinical
6 practice. And maybe to comment a little bit
7 further, you asked about the real-world setting.
8 Again, maybe I could call upon my clinical expert,
9 Dr. Lingvay, to give her perspective on that.

10 DR. LINGVAY: Thank you, and thank you for
11 that question. It was interesting that you noted
12 that in the study, patients and providers
13 eventually did learn how to manage this insulin,
14 and even though they weren't told up front how to
15 manage the bolus, they caught on eventually. It's
16 actually important to know that by the end of the
17 extension phase, the time below range was actually
18 exactly the same for both groups, which does point
19 out the fact that patients and providers, we do
20 learn how to use a new insulin and eventually
21 figure out how to individualize treatment for each
22 patient. I can actually show you that if I can

1 figure out how to do that.

2 There you go. So by the end of the
3 treatment, this is time below range comparing the
4 two groups, and then by the end of the extension
5 phase in those weeks 48 through 52, there were
6 exactly identical time below range, and the time
7 below range improved over time in patients treated
8 with icodec.

9 The other point that I want to make that's
10 very important, this 30 percent reduction in bolus
11 insulin, I think it's a good strategy to do
12 proactively; however, it's probably not needed in
13 everybody. In fact, it's probably a subset of
14 people that need it, and when applied, I think we
15 showed that that will have a really good chance of
16 minimizing these hypoglycemic episodes on those
17 days, but it's just one of many mitigation
18 strategies that can be applied.

19 You know very well, in clinic, we
20 individualize treatment for really every patient,
21 and while for some this 30 percent reduction is
22 well appropriate, for others we might do other

1 interventions. I can think of one example. For
2 example, if our patients at a time eat more over
3 the weekends, we get on the CGM those weekend
4 profiles and they always have high sugars over the
5 weekend. Well, it might be a good time to inject
6 this insulin on Fridays, and then you cover the
7 weekends. So it's just one of many such
8 strategies. I think it's very good for the label
9 to suggest this, for people to think about it, but
10 it's not the only option, and it's probably not
11 needed for everybody.

12 DR. KALYANI: Thank you.

13 DR. LOW WANG: Next, I'd like to call on
14 Dr. Beringer.

15 DR. BERINGER: Hello. Paul Beringer. My
16 question relates to the modeling that was done.
17 The PK/PD model accurately predicted the week 26
18 fasting glucose and the GMI data from the ONWARDS 6
19 trial showing that it does a good job of predicting
20 the outcomes, and that was successfully applied to
21 determine the reduction in the bolus insulin that
22 would help mitigate some of the hypoglycemia.

1 My question relates to whether this model
2 was applied and looking at alternative regimens,
3 namely the twice-weekly injections. You already
4 addressed the desire to have once weekly, but I'm
5 just wondering whether the modeling was applied to
6 look at that because if you could flatten things
7 out, the pharmacodynamic effect, then you may not
8 have to restrict use through these risk mitigation
9 strategies. Thank you.

10 DR. GOUGH: Yes. So we did look at a range
11 of scenarios in terms of what changes could we
12 make. In terms of the basal insulin titration
13 scenario, we looked at if you monitored your blood
14 glucose on different days of the week. So if you
15 use, for example, days 2, 3, and 4 or used the
16 whole week, would that have an impact in terms of
17 your dose adjustment and glycemic control, and the
18 risk of hypoglycemia?

19 We looked at whether making dose adjustments
20 every fourth week rather than weekly would have an
21 impact, and also using titration increments of
22 10 units rather than 20 units. We also considered

1 looking at a higher glycemic target within the
2 first 8 weeks, but ultimately, having looked at all
3 of these different scenarios, we identified that
4 actually the bolus dose reduction, the potential
5 bolus dose reduction, of an order of magnitude of
6 around 30 percent on days 2, 3, and 4 was the most
7 effective way of reducing hypoglycemia, or the risk
8 of hypoglycemia, whilst at the same time preserving
9 glycemic control.

10 For reasons I gave early, we did not look
11 specifically at a twice-weekly injection. Our
12 ambition has been to reduce the burden and the
13 complexity of disease by administering a
14 once-weekly insulin, but we have looked at
15 different scenarios, as I say, and the best is to
16 consider a change to the bolus on days 2, 3, and 4.

17 DR. BERINGER: Thank you.

18 DR. LOW WANG: Alright. Thanks.

19 Next, Dr. Greevy.

20 DR. GREEVY: Hi. This is Robert Greevy. My
21 one question is, can you discuss the protocol for
22 somebody who misses their dose of insulin icodec on

1 their normal day. Say I normally take it on
2 Sundays, and then I wake up Monday and realize I
3 hadn't taken it, what is the protocol?

4 DR. GOUGH: If this is approved and the
5 patient misses their insulin icodec dose, we would
6 advise them to take it as soon as they remember.
7 And that's what happened within the trial, with a
8 slight difference within trial, but I can come back
9 to that. But essentially, we would advise them to
10 take the next dose as soon as they remember and do
11 the usual things that you would do with type 1
12 diabetes in terms of regular blood glucose
13 monitoring.

14 Then in terms of the follow-up dose after
15 remembering, the important point then is to have a
16 4-day gap between the next injection. Now clearly,
17 what that might do is then move your injection from
18 your favorite day, but we then know that because
19 you have that flexibility of 3 to 4 days, you can
20 then move it back again in time. So the advice is
21 take it straight away as soon as you remember and
22 not have 2 doses closer than 4 days together. So

1 there's a lot of flexibility with this once-weekly
2 administration.

3 DR. GREEVY: Very good. Thank you.

4 DR. LOW WANG: Thanks for asking that
5 question. That was actually going to be my
6 question about missed doses and early doses.

7 So next I'd like to go to Dr. Nason.

8 DR. NASON: Thank you. I actually wanted to
9 go back to my question because I realized that I
10 had asked a two-part question about the CV values,
11 and you all had answered the first, and I'd been
12 satisfied; and then as soon as I turned off my
13 camera, I realized that there had been a second
14 part, which was, how well is the CV measured and
15 available clinically for patients who might come
16 into the clinic and be interested in this? I don't
17 have a sense as a statistician of how available
18 that data would be on potential users.

19 DR. GOUGH: Currently, around 30 percent of
20 patients on multiple daily injections use CGM as a
21 method of assessing their glycemic control. And as
22 I mentioned, whether you use CGM or self-monitored

1 blood glucose profiling, the two methods are
2 actually complementary. Maybe I can show you some
3 data here where we looked at the relationship
4 between self-monitored glycemic variability -- or
5 glycemic variability from self-monitored glucose
6 profiling -- compared to CGM, and you can see
7 there's a very strong correlation between whether
8 you measure it by CGM or SMBG. But to give you a
9 more clinical perspective, maybe I can call upon my
10 clinical expert, Dr. Lingvay, as to how she sees
11 this.

12 DR. LINGVAY: Thank you. Ilda Lingvay. The
13 CV is one of the standard readouts that we get from
14 patients, from every patient that comes into the
15 clinic. So they hand over their CGM or their blood
16 sugar meter to the nursing staff, they plug it in
17 the computer, and we get a list of variables. This
18 is standard for patients with type 1 and type 2
19 diabetes, but especially for patients with type 1.
20 We do this for every single patient that steps into
21 the clinic.

22 We get their report on time in range, time

1 below range, time above range, coefficient of
2 variation, mean glucose. There are a number of
3 variables that we use clinically pretty much on a
4 regular basis for every clinic visit. And I think
5 it's important to point out that for those people
6 who are using CGM, we have them, but also for
7 people who are using finger sticks, we also get the
8 same report from the downloaded machine.

9 DR. NASON: Thank you.

10 DR. LOW WANG: Just a quick comment. I
11 actually haven't seen CV for our patients. With
12 SMBG, we might get a standard deviation. Anyway,
13 to go on to the next question, Dr. Brittain.

14 DR. BRITTAIN: Yes. Erica Brittain. A few
15 questions ago, we saw a slide that showed time in
16 range getting closer between the arms over time.
17 And I don't know the number of that slide, but I
18 was wondering is there any possibility there could
19 be some dropout going on, especially in the very
20 later weeks that are past the primary endpoint so
21 that people who are having a lot of hypoglycemia
22 are dropping out. I'm just wondering about the

1 potential for bias in that slide, if someone could
2 bring it up. I don't know the number.

3 DR. GOUGH: Yes. First of all, you're quite
4 right. There are significant limitations with
5 looking at the data over a 12-month period and
6 there are many variables that may come into
7 account. What I can say is that the completer
8 rates at the end of the extension phase was also
9 high, and there was no preferential fallout, or in
10 terms of patients leaving the trial in terms of
11 hypoglycemia, the rates were extremely low.

12 As we mentioned in the presentation,
13 completer rate during the main part of the trial
14 was also extremely high, over 90 percent, and we
15 saw similar consistency during the extension
16 period, but you raise an important point.

17 DR. BRITTAIN: Okay. Thank you.

18 DR. LOW WANG: Thank you.

19 Next, Dr. Beringer?

20 DR. BERINGER: No, my question was addressed
21 already. Thank you.

22 DR. LOW WANG: Okay. Terrific.

1 Then going on to Dr. Dutta.

2 DR. DUTTA: Thank you, Sandeep Dutta. My
3 question is a follow-up to Dr. Brittain's first
4 question, on slide 55, where you show the
5 hypoglycemia over time during the week. My
6 question is specifically about the risk mitigation
7 in the morning that was done, such as reduction in
8 30 percent in the bolus injections. I was
9 wondering if you have a display of what that
10 mitigation will result in, specifically in
11 reduction in hypoglycemia on days 2 through 4.

12 DR. GOUGH: Our anticipation from the model
13 was that by applying the 30 percent dose reduction,
14 we could see somewhere in the order of magnitude of
15 a 50 percent reduction in terms of hypoglycemia,
16 but specifically to show you the impact of that
17 dose reduction from the model, I will call upon my
18 senior medical director, Roman Cailleteau, to share
19 some data with you.

20 DR. CAILLETEAU: Roman Cailleteau. The data
21 that we presented during the core presentation, we
22 can see there's a modeling result. When we reduce

1 by 30 percent bolus insulin doses on days 2 to 4,
2 we are able to maintain a change in A1c that is
3 very close to the model data of ONWARDS 6 to a
4 minus 37 percent -- open 37 percent -- by reducing
5 around half the hypoglycemia rate from 21 to 12.8.

6 But specifically, I think your question was
7 about did we look into the rates on days 2 to 4
8 specifically, if they were also reduced. We didn't
9 look specifically in these, but from this data, you
10 can see a 50 percent reduction applies. Probably
11 most of these episodes are reduced on this time of
12 the week, so days 2 to 4.

13 DR. DUTTA: Thank you.

14 DR. LOW WANG: Okay. Thank you.

15 If there are no more questions -- oh,
16 actually there is one more question, so Dr. Onumah,
17 please.

18 DR. ONUMAH: Hi. It's a quick question
19 about labeling.

20 DR. LOW WANG: I'm sorry. Could you please
21 state your name? Thank you.

22 DR. ONUMAH: Sorry. Barbara Onumah, and a

1 question about labeling for this insulin in other
2 places and other countries where it has already
3 been approved. I think it was asked before, I
4 guess restrictions or recommendations that have
5 been put in the package insert for clinicians. And
6 I noticed in the study, the inclusion criteria was
7 specifically for persons with type 1 diabetes who
8 have been diagnosed over a year. So I wonder if
9 that's also clearly stated in there because, as one
10 could imagine, when people are newly diagnosed with
11 type 1 diabetes, there are lots of fluctuations and
12 changes, and that could be a potential concern when
13 using such a long-lasting basal insulin.

14 DR. GOUGH: Yes, that's an important point.
15 The patients that were recruited into our study
16 were patients who'd had type 1 diabetes for
17 12 months, and we would envision, although
18 necessarily a specific restriction, patients
19 considering a once-weekly insulin injection, a
20 basal insulin injection, would be patients maybe
21 familiar with the management of type 1 diabetes,
22 how they monitor their blood glucose profiles, and

1 how they adjust two different insulins.

2 So I think that's a really important
3 question, but at the same time, we didn't
4 specifically look at patients who had type 1
5 diabetes for a shorter period of time.

6 DR. ONUMAH: Just to follow up, my question
7 specifically was, was that stated anywhere for
8 clinicians, who may be using this as a caution for
9 them, to be able to not use it in persons who have
10 not had type 1 diabetes for that long or who have
11 not been diagnosed for that long.

12 DR. GOUGH: Yes. I think that's a question
13 that we would look forward to discussing with the
14 agency.

15 DR. ONUMAH: Thank you.

16 DR. LOW WANG: Thank you, and then
17 Dr. Kalyani.

18 DR. KALYANI: Thanks. Rita Kalyani. On
19 table 10-8 in sponsor's briefing document, I found
20 it interesting that while it's been commented on
21 that the duration of hypoglycemia episodes between
22 icodec and degludec were similar, that the

1 frequency was actually a little different between
2 the two arms, in that those who were on icodec were
3 more likely to have recurrent hypoglycemia episodes
4 compared to those in degludec. For instance,
5 1 to 9 episodes was 139 in icodec versus 171 in
6 degludec, but 10 to 19 episodes was 65 in icodec
7 versus 35 in degludec, and more than 20 was 43 in
8 icodec versus 17 in degludec.

9 I appreciate the comment that there was a
10 participant who had more than 30 episodes, but it
11 does seem that there is a trend to more recurrent
12 episodes in those who have it. And I was curious
13 to know if this was hypoglycemia that took longer
14 to treat -- for instance, you treat it, it's still
15 low, you treat it again -- or were these recurrent
16 hypoglycemic episodes that occurred on different
17 days.

18 DR. GOUGH: So there are a number of
19 components to your question there. First of all, I
20 would just highlight that the duration of
21 hypoglycemia with insulin icodec in our ONWARDS 6
22 trial was exactly the same in terms of the duration

1 of the episode; the once weekly was the same as the
2 once daily. I can also point out that if we look
3 at CGM after an episode of hypoglycemia and we
4 looked at time below range for a number of days
5 after an episode of hypoglycemia, the amount of
6 time below range after an episode of hypoglycemia
7 was similar for a once daily as a once weekly.

8 I'd also point out that the management of
9 hypoglycemia was exactly the same in both treatment
10 arms. In terms of the protocol, we didn't suggest
11 anything differently for patients on insulin
12 icodec. We gave exactly the same advice in terms
13 of how hypoglycemia should be managed. And again,
14 when we showed you the management of level 3
15 hypoglycemia in the ONWARDS 6 trial, we showed you
16 there was, again, no difference between once weekly
17 and once daily. And actually, in terms of level 3,
18 over 8 percent of patients in both treatment arms
19 were managed similarly with oral glucose intake.

20 So I think to sort of pull all of that
21 together, the duration of hypoglycemia is similar,
22 the management of hypoglycemia is similar, time

1 below range after a hypoglycemic episode is
2 similar, and our data show that the increased risk
3 of hypoglycemia and when hypoglycemia does occur,
4 it can be managed in exactly the same way.

5 DR. LOW WANG: Alright. Thank you.

6 Now, let's take a 10 minute break until
7 11:12 Eastern Time. We'll resume at 11:12 Eastern
8 Time. Thank you all.

9 (Whereupon, at 11:02 a.m., a recess was
10 taken, and meeting resumed at 11:12 a.m.)

11 DR. LOW WANG: Welcome back.

12 Just a quick comment before we continue,
13 Dr. Kalyani and panel members, we may have more
14 time for clarifying questions after the open public
15 hearing in case there are more points needing
16 clarification.

17 So we will now proceed with FDA's
18 presentation, starting with Dr. Leslie Kenna.

19 **FDA Presentation - Leslie Kenna**

20 DR. KENNA: Good morning. My name is Leslie
21 Kenna, and I'm the Clinical Pharmacology Reviewer
22 of the insulin icodec application. Over the next

1 four slides, I will discuss exposure and
2 pharmacodynamic response to insulin icodec and how
3 the dose of insulin icodec was selected for
4 patients with type 1 diabetes.

5 The applicant conducted two phase 1 studies
6 of the pharmacokinetics and glucose lowering
7 pharmacodynamic of insulin icodec in patients with
8 type 1 diabetes. In these studies, patients were
9 administered insulin icodec by subcutaneous
10 injection once weekly for 8 weeks at a dose that
11 was unit matched to their daily basal insulin dose
12 established during a run-in period.

13 This figure shows insulin icodec
14 concentration over time in 65 patients in one such
15 study. Drug concentration is plotted for 168 hours
16 post-dose, which is 1 week, because this is the
17 proposed dosing interval. Note that, on average,
18 maximum drug concentration is reached on the first
19 day of dosing at about 18 hours post-dose, then
20 drug concentration goes down over the week until
21 the next dose is administered. The elimination
22 half-life of insulin icodec averages about 1 week.

1 Now, let's consider data on the
2 pharmacodynamic response to insulin icodec. This
3 histogram shows the glucose lowering response to
4 insulin icodec in patients with type 1 diabetes as
5 measured in a euglycemic clamp study at steady
6 state. Patients received an infusion of glucose to
7 keep their glycemic level within a target range. A
8 higher glucose infusion rate, or GIR, means that
9 patient glucose levels were below target and a
10 higher level of glucose needed to be infused to
11 stay at the target glycemic level.

12 Here on the Y-axis, you see the percent of
13 area under the GIR curve. If patients receiving
14 insulin icodec achieved an even glycemic level
15 throughout the week, we'd expect these bars to be
16 the same height every day. Because this is a
17 histogram, the percents over the week should add up
18 to 100, so in the ideal case, 100 over 7 would be a
19 little over 14 percent per day. As you can see,
20 the peak GIR effect occurs on days 2 to 4 post-dose
21 and declines until the next dose. This reflects
22 that more glucose needed to be infused on day 2

1 compared to day 7 to keep glucose levels in the
2 target range.

3 The insulin action profile for insulin
4 icodec is different than for the approved daily
5 basal insulin. Here's what the insulin action
6 profile looks like in patients with type 1 diabetes
7 according to the label for two approved daily basal
8 insulin products. First, consider insulin degludec
9 in the figure on the left. The shaded area shows
10 the glucose infusion rate in a euglycemic clamp
11 study for the 24-hour period after dosing at steady
12 state. Next, consider insulin glargine in the
13 figure on the right. The solid line shows the
14 glucose infusion rate after a single insulin
15 glargine dose was administered. Because these
16 products are administered daily, the same pattern
17 repeats every day of dosing.

18 I'd like to walk you through the rationale
19 for the proposed insulin icodec dosing regimen for
20 patients with type 1 diabetes. A once-weekly
21 regimen was based on the week-long half-life of
22 insulin icodec. The amount administered was

1 determined by unit-to-unit matching of a patient's
2 existing daily basal insulin dose multiplied by 7
3 to scale up from a daily dose to a weekly dose.
4 This assumes that the patient's current daily basal
5 insulin intake and insulin icodec have an equimolar
6 ratio. A loading dose was used to reduce time to
7 steady state from 2 to 4 weeks to 2 to 3 weeks.

8 Dr. Frank Pucino will now review the study
9 design features of ONWARDS 6, the clinical trial
10 used to support the type 1 diabetes indication.

11 **FDA Presentation - Frank Pucino**

12 DR. PUCINO: Good morning. My name is Frank
13 Pucino. I'm the clinical reviewer for this
14 application. On the next several slides, I will
15 briefly discuss the study design features of
16 ONWARDS 6, the applicant's only phase 3 trial
17 conducted in patients with type 1 diabetes.

18 The applicant's phase 3 development program
19 included six adequate and well-controlled trials,
20 ONWARDS 1 through ONWARDS 6. ONWARDS 1 through 5
21 included type 2 diabetes patient populations, while
22 ONWARDS 6 enrolled patients with type 1 diabetes.

1 In these trials, subjects were randomized to
2 insulin icodec or comparators, which included
3 insulin glargine, insulin degludec, or other basal
4 insulins. A 1 to 1 treatment allocation was used
5 for all trials. Both ONWARDS 4 and 6 included
6 multiple daily insulin injections with insulin
7 aspart used as the bolus insulin for all subjects.
8 This presentation will primarily focus on
9 ONWARDS 6.

10 ONWARDS 6 was a 1 to 1, randomized,
11 open-label, active-controlled, parallel group,
12 treat-to-target phase 3 trial. In this trial, the
13 efficacy and safety of insulin icodec in adult
14 subjects with type 1 diabetes was compared to
15 insulin degludec both in combination with insulin
16 aspart. The trial duration was 59 weeks and
17 included a 2-week screening period, a 26-week main
18 treatment period, a 26-week extension phase, and a
19 5-week follow-up period. At week 52, subjects were
20 transferred to a marketed basal insulin product at
21 the discretion of the investigator.

22 Adult patients with type 1 diabetes who were

1 treated with multiple daily insulin injections for
2 at least one year and had an Alc less than
3 10 percent at screening were excluded if they met
4 any of the exclusion criteria included in this
5 slide. Of note, subjects with severe renal
6 impairment, hypoglycemia unawareness, and recurrent
7 hypoglycemia, which are all considered important
8 risk factors for hypoglycemia, were excluded from
9 study participation.

10 The patient population randomized into
11 ONWARDS 6 was generally young and white.
12 Participants also had relatively good glycemic
13 control with a mean baseline Alc of 7.6 percent and
14 normal renal function with a mean eGFR of 98 mLs
15 per minute. To mitigate the risk of hypoglycemia
16 during the first week of treatment, subjects
17 randomized to the insulin icodec arm received a
18 loading dose. The initial insulin icodec dose
19 administered was equivalent to the total daily
20 basal dose before randomization multiplied by 7. A
21 one-time additional dose also was administered
22 depending on the Alc level prior to randomization.

1 If the A1c was less than 8 percent at screening, a
2 one-time 50 percent loading dose was applied. If
3 the A1c was greater than or equal to 8 percent, a
4 single 100 percent loading dose was applied.

5 Subjects switching from insulin glargine
6 U-300 or basal insulin twice daily received a
7 50 percent loading dose of insulin icodec
8 regardless of their A1c at screening. Subjects
9 randomized to the insulin degludec arm were
10 switched from their pretrial basal insulin
11 according to local labeling. The bolus dose was
12 switched to insulin aspart on a unit-to-unit per
13 meal basis.

14 The recommended dose titrations for insulin
15 icodec, insulin degludec, and insulin aspart are
16 shown on this slide. The basal dose adjustment
17 shown on the top portion of this slide was based on
18 the lowest of three pre-breakfast, self-measured,
19 plasma glucose values, referred to as SMPG, which
20 were measures on 2 days before and on the day of
21 each weekly dose titration. The insulin aspart
22 dose adjustments, done weekly using either the

1 prespecified algorithm shown on the bottom portion
2 of this slide, were based on carbohydrate counting
3 at the investigator's discretion.

4 During the first 8 weeks, adjustments in
5 bolus doses were to be made only for safety
6 reasons. Weekly dose adjustments were based on the
7 lowest preprandial or bedtime SMPG values measured
8 the week prior to titration. The breakfast dose
9 was adjusted based on the pre-lunch SMPG value, the
10 lunch dose was adjusted based on the pre-dinner
11 SMPG value, and the dinner dose was adjusted based
12 on the bedtime SMPG value.

13 Subjects used a Dexcom G6 CGM device for the
14 entire duration of the trial. Alerts for low or
15 high glucose values were not blinded to either
16 subjects or investigators. Subjects also received
17 a glucose meter and were instructed to measure a
18 4-point daily SMPG at pre-breakfast, pre-lunch,
19 pre-dinner, and bedtime throughout the trial. The
20 measured SMPG values were subsequently transferred
21 daily into an electronic diary by the subject.

22 I will now turn the presentation over to

1 Dr. Roberto Crackel from the Division of
2 Biometrics II, who evaluated the efficacy of
3 insulin icodec for the ONWARDS program.

4 **FDA Presentation - Roberto Crackel**

5 DR. CRACKEL: Thank you, Frank.

6 Good morning. I'm Dr. Roberto Crackel, a
7 Senior Mathematical Statistician at FDA. I'm the
8 primary statistical reviewer for efficacy. I will
9 be providing the efficacy findings from the
10 ONWARDS 6 clinical trial.

11 ONWARDS 6 was a randomized, open-label,
12 active-controlled trial. Participants were
13 randomized 1 to 1 to either insulin icodec or
14 insulin degludec. There were two phases. The main
15 phase was the first 26 weeks at which the primary
16 endpoint was measured for efficacy, followed by the
17 extension phase for an additional 26 weeks for
18 safety with a 5-week follow-up period.

19 The primary objective was to confirm the
20 effect on glycemic control of once-weekly insulin
21 icodec in participants with type 1 diabetes by
22 comparing the difference in change from baseline in

1 A1c between once-weekly insulin icodec and
2 once-daily insulin degludec, both in combination
3 with insulin aspart after 26 weeks of treatment to
4 a noninferiority margin of 0.3 percent.

5 The primary estimand was the treatment
6 policy estimand which consists of the following
7 five components. The treatment condition was
8 insulin icodec or insulin degludec irrespective of
9 adherence to randomized treatment and changes to
10 anti-diabetic background medication. The primary
11 endpoint was change from baseline to week 26 in
12 A1c.

13 The population was adults with type 1
14 diabetes and at least one year of treatment with
15 multiple daily insulin injections on a basal and
16 bolus insulin analog regimen. Intercurrent events
17 were treatment discontinuation or withdrawal from
18 the trial. All available data, regardless of
19 treatment discontinuation, was used in the
20 analysis. The population level summary measure was
21 the difference in mean changes from baseline in A1C
22 at week 26 between insulin icodec and insulin

1 degludec.

2 For handling the missing data, the
3 applicant's prespecified approach was to multiply
4 impute missing data regardless of treatment
5 completion status, based on observed data from
6 participants who discontinued treatment but
7 remained in the study and had their final week 26
8 endpoint measurement. The results of this analysis
9 were reported in the submission and were
10 independently replicated by FDA.

11 Of note, for the statistical analysis plan,
12 if the number of participants who were off
13 treatment with week 26 data is insufficient for
14 meaningful imputation, a return to baseline
15 approach would be taken whereby the participants'
16 endpoint measurement is drawn from a normal
17 distribution centered at the participants' baseline
18 measurement with a random error.

19 This table summarizes the data capture
20 disposition for Alc measurements at week 26. The
21 full analysis set was defined as all randomized
22 participants. There were 290 participants

1 randomized to insulin icodec and 292 randomized to
2 insulin degludec. On insulin icodec, there were
3 274 participants with observed Alc measurements,
4 five of whom were off treatment, and there were
5 16 participants with missing Alc measurements. On
6 insulin degludec, there were 283 participants with
7 observed Alc measurements, two of whom were off
8 treatment, and there were 9 participants with
9 missing Alc measurements.

10 Thus, for the prespecified approach for
11 handling missing data, on insulin icodec,
12 5 participants were used to represent the
13 16 participants with missing data, and on insulin
14 degludec, 2 participants were used to represent the
15 9 participants with missing data. Since the number
16 of participants who were off treatment with week 26
17 data is small relative to the number of
18 participants with missing data, FDA performed
19 multiple imputation using the return to baseline
20 approach. This presentation includes the results
21 using the return to baseline approach.

22 Participants with missing week 26 data had

1 their missing measurement imputed 1,000 times and
2 thus generated 1,000 complete data sets. For each
3 complete data set, an ANCOVA model with the
4 following fixed effects were used: treatment,
5 region, pretrial basal insulin use, and screening
6 Alc group. As a covariate, continuous baseline Alc
7 measurement was used. Rubin's rule was used to
8 synthesize analysis results from the 1,000 multiply
9 imputed data sets.

10 Here are the results for the primary
11 endpoint. The least squares mean reduction from
12 baseline for insulin icodec is negative 0.47 and
13 negative 0.52 for insulin degludec. The treatment
14 difference is 0.06, and the lower bound of the
15 95 percent confidence interval is negative 0.05 and
16 upper bound is 0.16. Therefore, noninferiority of
17 insulin icodec is demonstrated since the upper
18 bound of the 95 percent confidence interval is less
19 than the noninferiority margin of 0.3 percent.

20 A two-way tipping point analysis was
21 performed as a sensitivity analysis to confirm the
22 robustness of the primary results by checking the

1 departures to the assumptions in the handling of
2 missing data. The results were fairly robust
3 because scenarios to tip the results from
4 noninferior to inferior were unlikely, although not
5 clinically impossible. Subgroup analysis results
6 in age, sex, race, ethnicity, and region all
7 support the consistency of the primary results with
8 the overall population.

9 Secondary efficacy endpoints include the
10 following: change from baseline to week 52 in A1c;
11 change from baseline to week 26 and week 52 in
12 fasting plasma glucose; time in range between 70
13 and 180 milligrams per deciliter during week 22
14 through 26 and week 48 through 52; and change from
15 baseline to week 26 and week 52 in Diabetes
16 Treatment Satisfaction Questionnaire Status
17 Treatment Satisfaction scores, hereafter, DTSQ
18 Treatment Satisfaction subscore. Items are summed
19 from the DTSQ Treatment Satisfaction domain to
20 generate a total treatment satisfaction score that
21 ranges from 0 to 36, where higher scores indicate
22 greater satisfaction with treatments. Of note,

1 secondary endpoints were not adjusted for
2 multiplicity to control type 1 error for the study.

3 Analysis methods for secondary endpoints,
4 the targeted estimand was the treatment policy
5 estimand. The return to baseline approach for
6 handling missing data was used for A1c, FPG, and
7 DTSQ Treatment Satisfaction sub score; however, for
8 time in range, no pre-baseline data were collected
9 in the study. Therefore, missing data were imputed
10 from a normal distribution centered at the average
11 time in range for participants on insulin degludec
12 who completed treatment with a random error. One
13 thousand data sets were generated, ANCOVA was used
14 for A1c, FPG, and DTSQ Treatment Satisfaction
15 subscore and ANOVA was used for time in range to
16 analyze each data set, and Rubin's rule was used to
17 synthesize results.

18 Here are the results for change from
19 baseline to week 52 in A1c. Both groups had
20 reductions from baseline in A1c at week 52, with
21 insulin degludec having a larger reduction. The
22 treatment difference is 0.14, the lower bound of

1 the 95 percent confidence interval is 0.02, and the
2 upper bound is 0.25, so the estimated treatment
3 difference nominally favors insulin degludec.

4 Here are the results for the secondary
5 efficacy endpoints of FPG, time in range, and DTSQ
6 Treatment Satisfaction subscore at week 26 and
7 week 52. For FPG at week 26 and week 52, both
8 insulin icodec and insulin degludec had reductions
9 from baseline; however, insulin degludec had more
10 of a reduction as shown by the treatment
11 differences. These differences nominally favor
12 insulin degludec, as the 95 percent confidence
13 intervals exclude zero; however, we note that FPG
14 samples were taken before administration of either
15 insulin icodec or insulin degludec in addition to
16 insulin aspart.

17 For DTSQ Treatment Satisfaction subscore at
18 week 26 and week 52, both insulin icodec and
19 insulin degludec increased scores from baseline;
20 however, insulin degludec had more of an increase,
21 as shown by the treatment differences. These
22 differences nominally favor insulin degludec, as

1 the 95 percent confidence intervals exclude zero.
2 For time in range, there are no differences between
3 groups, as the 95 percent confidence intervals
4 include zero.

5 Efficacy for ONWARDS 6 is summarized as
6 follows. Noninferiority of insulin icodec to
7 insulin degludec was demonstrated both in
8 combination with insulin aspart in treating
9 participants with type 1 diabetes at week 26.
10 Long-term duration of A1c at week 52 nominally
11 favors insulin degludec. Reductions from baseline
12 in A1c for participants on insulin icodec at
13 week 26 and week 52 are observed. Results of
14 secondary endpoints for glycemic efficacy tend to
15 favor insulin degludec.

16 Dr. Pucino will now review the safety
17 findings for ONWARDS 6.

18 **FDA Presentation - Frank Pucino**

19 DR. PUCINO: I'm Frank Pucino from DDLO.
20 Compared to insulin degludec, there were no
21 meaningful imbalances in deaths, discontinuations
22 due to adverse events, common adverse events

1 associated with insulin products, or serious
2 adverse events; that is with the exception of an
3 imbalance of hypoglycemia SAEs in the insulin
4 icodec arm.

5 Hypoglycemia is a known adverse effect of
6 all insulin products and can be life threatening.
7 The treatment goals with insulin therapy are to
8 improve glycemic control while minimizing the risk
9 of hypoglycemia. In ONWARDS 6, an increased risk
10 of hypoglycemia was observed in the insulin icodec
11 arm compared to the insulin degludec arm. When
12 considering the clinical relevance of this finding,
13 it is important to note that newer insulin products
14 such as insulin degludec may be associated with a
15 lower risk for hypoglycemia compared to several
16 other marketed basal insulin products. This
17 product also has a labeling claim for less
18 hypoglycemia than daily insulin glargine in
19 patients with type 2 diabetes.

20 In ONWARDS 6, hypoglycemia was assessed
21 throughout the study period up to week 57. The
22 definitions for hypoglycemia, shown in blue font on

1 this slide, are consistent with the 2024 American
2 Diabetes Association guidelines and the 2023 FDA
3 draft guidance. The review of these events will
4 primarily focus on level 2 and level 3
5 hypoglycemia, also referred to as clinically
6 significant and severe hypoglycemia, respectively.
7 Nocturnal hypoglycemia included hypoglycemic
8 episodes occurring between 12 midnight and 6 am.
9 Hypoglycemic events captured by CGM were to be
10 confirmed by SMPG.

11 The event rates of overall level 2 or 3
12 hypoglycemia reported in ONWARDS 6 and captured by
13 SMPG are shown in this figure. Events reported
14 during the 26-week treatment period are shown on
15 the top portion of this slide and during the entire
16 57-week study period on the bottom. A higher rate
17 of level 2 or 3 hypoglycemia was reported with
18 insulin icodec compared to insulin degludec during
19 both phases of the trial.

20 The estimated rate ratio for subjects
21 experiencing level 2 or 3 hypoglycemia during the
22 57-week period, shown on the bottom row of this

1 figure, was 1.8 with a risk difference of
2 approximately eight more events per patient year of
3 exposure, both favoring the insulin degludec arm.
4 The rate ratios and risk differences for level 2
5 hypoglycemia and for level 3 hypoglycemia during
6 the main and extension phases of this trial were
7 similar.

8 Although the protocol for ONWARDS 6
9 specified that SMPG data should be obtained to
10 confirm hypoglycemic events, FDA draft guidance
11 issued since the conduct of ONWARDS 6 notes that
12 CGM data and SMPG data provide complementary
13 perspectives on the risk of hypoglycemia. The
14 event rates of overall level 2 hypoglycemia
15 captured by CGM during the 26-week and extension
16 study periods are shown on the top portion of this
17 slide and nocturnal level 2 hypoglycemia on the
18 bottom.

19 Events captured by CGM were higher than
20 those captured by SMPG. The estimated rate ratio
21 for the 24 week plus extension phase was 1.29 for
22 the total level 2 hypoglycemic episodes, with a

1 risk difference of 20 episodes per patient year of
2 exposure, again favoring the insulin degludec arm.
3 Similar trends were observed for the rate ratios of
4 nocturnal events though the risk differences were
5 less due to lower numbers of these events.

6 As a reminder, this slide previously
7 presented by Dr. Kenna shows that the maximum
8 glucose lowering effects following a weekly insulin
9 icodec injection occurs on days 2 to 4 and is
10 lowest on days 5 to 7. The rates per hundred
11 patient-years of level 2 or level 3
12 hypoglycemia -- shown on the Y-axis, by the day of
13 the week on the X-axis -- are depicted in this
14 figure. The observed rates for the insulin icodec
15 and insulin degludec arms are shown as blue and
16 gray bars, respectively.

17 The peak hypoglycemic rates generally
18 occurred on days 2 to 4 after each weekly injection
19 of insulin icodec, while the rates were similar for
20 each day of the week in the insulin degludec arm.
21 Event rates with insulin icodec were highest on
22 day 3 and lowest on day 7. This finding is not

1 unexpected based on the observed PK/PD profile of
2 insulin icodec.

3 The total number of hypoglycemic episodes
4 can be driven largely by a few subjects who
5 experienced a large number of hypoglycemic events.
6 For example, one subject in the insulin icodec arm
7 experienced 34 of 56 severe hypoglycemic episodes,
8 while one subject in the insulin degludec arm
9 accounted for 12 of 25 events. Therefore, a
10 sensitivity analysis was performed to assess the
11 robustness of the results from the applicant's
12 prespecified model.

13 In this figure, subjects with events refer
14 to the number of subjects with one or more level 2
15 or 3 hypoglycemic events. The time at risk was
16 defined as the time from the first drug exposure to
17 the first event, while for individuals who did not
18 experience an event, the time at risk was set to
19 equal the on-treatment period.

20 Results were consistent with the event rate
21 ratio shown on the previous slides that
22 incorporated recurring episodes. Shown in the

1 bottom row of this figure, subjects in the insulin
2 icodec arm had a 50 percent higher risk of
3 experiencing at least one level 2 or 3 hypoglycemic
4 episode compared to insulin degludec treated
5 subjects. The risk during both the main and
6 extension study periods were primarily driven by
7 level 2 events.

8 The applicant was asked to provide
9 descriptive statistics for the duration of level 2
10 hypoglycemia based on CGM, which is presented in
11 this slide. The duration of level 2 events was
12 defined as the period of time from when the
13 interstitial glucose value is less than
14 54 milligrams per deciliter for at least 15 minutes
15 to when it was greater than or equal to 54 for at
16 least 15 minutes. This definition is consistent
17 with a recent international consensus statement on
18 the use of CGM in clinical trials. Although events
19 of level 2 hypoglycemia were more frequent in the
20 insulin icodec arm, the mean and median duration of
21 hypoglycemia were approximately 40 and 25 minutes,
22 respectively, and similar between arms.

1 Again, using CGM data, the time spent below
2 glucose range -- less than 54 milligrams per
3 deciliter during weeks 22 to 26, 48 to 52, and
4 0 to 52 -- are displayed on the Y-axis of this
5 figure in 2-week increments for the duration of the
6 trial, as shown on the X-axis. The blue bars
7 represent the insulin icodec arm and the gray bars,
8 insulin degludec. The observed time below
9 range -- less than 54 milligrams for both treatment
10 arms at weeks 0 to 52 and 48 to 52, but not at
11 weeks 22 to 26 -- met the ADA recommended glycemic
12 goal of less than 1 percent; that is approximately
13 15 minutes per day. For all three time periods,
14 time below range, less than 54 milligrams per
15 deciliter, was lower in the insulin degludec arm.

16 In ONWARDS 6, serious adverse events were
17 defined as events which resulted in death, were
18 life threatening, required or prolonged
19 hospitalization, resulted in a congenital anomaly,
20 or were considered an important medical event by
21 the investigator. Besides patient-reported data
22 entered in the e-diary for hypoglycemic events,

1 investigators were requested to complete an
2 electronic case report adverse event form and a
3 safety information form for hypoglycemic episodes
4 that fulfilled the criteria of an SAE.

5 More serious adverse events of hypoglycemia
6 were observed with insulin icodec compared to
7 insulin degludec. During the 57-week study period,
8 9 subjects in the insulin icodec arm experienced
9 14 hypoglycemia SAEs compared to three in the
10 insulin degludec arm. Insulin icodec was
11 associated with a 4.66 event rate per hundred
12 patient-years compared to one event per hundred
13 patient-years in the insulin degludec arm. SAEs in
14 the insulin icodec arm were associated with more
15 dose reductions and administration of IV glucose
16 and glucagon than in the comparator arm; however,
17 none of the SAEs resulted in treatment
18 discontinuations or study withdrawal in either arm.

19 In response to the agency's concerns to the
20 observed increased hypoglycemia risk associated
21 with insulin icodec, the applicant proposed
22 labeling revisions to better inform prescribers and

1 patients about this risk. Relevant labeling
2 proposals included the following: restricting the
3 use of insulin icodec to patients wearing the CGM
4 device with low glycemic variability, that is a
5 percent coefficient of variation less than or equal
6 to 36 percent prior to initiating treatment and
7 without a history of recurring severe hypoglycemia
8 or hypoglycemia unawareness; recommending
9 discontinuing insulin icodec in patients
10 experiencing recurring hypoglycemic events;
11 informing patients and providers that the maximal
12 glucose lowering effect of insulin icodec occurs on
13 days 2 to 4 after each weekly injection; and to
14 consider reducing the bolus insulin dose on these
15 days after each insulin icodec injection.

16 We will next discuss post hoc analyses
17 conducted to evaluate two of the proposed
18 mitigating strategies; that is use of insulin
19 icodec in type 1 diabetes patients with low
20 glycemic variability and dose modifications to
21 address the increased pharmacodynamic response
22 observed on days 2 to 4.

1 I will now turn the presentation over to
2 Dr. Jaejoon Song from the Division of
3 Biometrics VII, who will review the exploratory
4 analyses of the proposed percent CV cutpoint.

5 **FDA Presentation - Jaejoon Song**

6 DR. SONG: Good morning. My name is
7 Dr. Jaejoon Song, Senior Statistical Reviewer in
8 the Division of Biometrics VII. The Division of
9 Biometrics VII in the Office of Biostatistics
10 provides statistical review for evaluation of
11 safety.

12 To assess the applicant's proposal for risk
13 mitigation and their supporting post hoc analysis,
14 we reviewed the applicant's exploratory analysis to
15 assess potential associations between glycemic
16 variability and hypoglycemic episodes. For the
17 subpopulation of type 1 diabetic patients, the
18 applicant is recommending insulin icodec use for
19 patients using CGM with a percent coefficient of
20 variation, a measure of glycemic variability less
21 or equal to 36 percent.

22 According to published reports, this

1 glycemic target is associated with a lower risk of
2 hypoglycemia and is discussed by both the American
3 Diabetes Association and the international
4 consensus. In the exploratory analysis to support
5 the applicant's proposal for risk mitigation,
6 subgroup was defined based on percent CV at week 0
7 to 2 after treatment initiation based on the
8 subject's CGM measurements. Also, exploratory
9 analysis presented in the slides only considered
10 data for subjects with at least 70 percent of
11 planned CGM measurements.

12 In terms of the analysis methods, the rate
13 of level 2 or level 3 hypoglycemic episodes was
14 calculated for each subgroup. We also calculated
15 the crude rate ratio with 95 percent confidence
16 intervals to assess between arm differences within
17 the subgroups. Additionally, we looked at the
18 distribution of percent CV over 52 weeks study
19 period to examine the consistency of percent CV
20 within the subgroups.

21 This slide presents the main results from
22 the exploratory subgroup analysis using percent CV

1 cutpoint of 36, defined using CGM data during the
2 first 2 weeks of treatment. The bar plots
3 illustrate level 2 or level 3 hypoglycemic episodes
4 captured using SMPG by percent CV subgroups during
5 the main and extension phases.

6 The rate ratios between the two treatment
7 arms within each subgroup are presented above the
8 bar plots. The rate per 100 patient-years of
9 hypoglycemic episodes captured by SMPG were 922 and
10 2,121, respectively, for subgroups with percent CV
11 less or equal to 36 and percent CV greater than 36
12 in the insulin icodec arm.

13 Similarly, the rate of hypoglycemic episodes
14 was lower in the lower percent CV subgroup in the
15 insulin degludec arm. Between arms, however,
16 within each subgroup, the rate ratios indicate that
17 the rate of hypoglycemic episodes were still
18 nominally higher in the insulin icodec arm compared
19 to the insulin degludec regardless of the percent
20 CV subgroup. Specifically, the rate ratio
21 comparing insulin icodec to insulin degludec in
22 subgroup of subjects with percent CV less or equal

1 to 36 was 1.94, meaning that the rate of
2 hypoglycemic episodes was almost 2 times higher in
3 the insulin icodec arm compared to those in the
4 insulin degludec.

5 The distribution of percent CV was assessed
6 to understand the consistency during the 52-week
7 study period. The Y-axis in the plot represents
8 percent CV and the X-axis represents time in 2-week
9 intervals. The left panel represents the
10 distribution of percent CV for subjects who had
11 percent CV greater than 36 in the first 2 weeks
12 after treatment initiation during the main plus
13 extension trial treatment period. The right panel
14 represents distribution of percent CV for subjects
15 who had percent CV greater than 36 in the first
16 2 weeks. The blue boxes represent the insulin
17 icodec arm and the gray boxes represent the insulin
18 degludec. The distribution of percent CV after
19 initiation of treatment appeared to be reasonably
20 stable throughout the trial period within each
21 subgroup.

22 To summarize, we discussed some exploratory

1 analyses to evaluate the applicant's argument and
2 potential associations between percent CV and
3 hypoglycemic episodes. The exploratory analyses
4 suggested that lower rates of level 2 or level 3
5 hypoglycemia were observed in subjects with percent
6 CV less or equal to 36 at week 0 to 2 after
7 treatment initiation; however, within the percent
8 CV subgroups, the rate of level 2 or level 3
9 hypoglycemic episodes were still numerically higher
10 in the insulin icodec arm compared to the insulin
11 degludec regardless of the percent CV subgroup.
12 Descriptive analysis suggested that after
13 initiation of treatment, subjects percent CV
14 appeared to be generally stable over the 52 weeks
15 main plus extension study treatment period in both
16 insulin icodec and insulin degludec arms.

17 Lastly, we would like to point out some
18 statistical issues of the subgroup analyses. The
19 first point that we would like to make is that the
20 applicant's subgroup analyses was based on a
21 post-baseline variable using percent CV calculated
22 based on CGM measurements in the first 2 weeks

1 after treatment initiation. A subgroup defined on
2 post-randomization feature might potentially be
3 influenced by the treatment itself. While the
4 distribution of percent CV after initiation of
5 treatment appeared to be reasonably stable
6 throughout the trial period, the stability of
7 percent CV in relation to pretreatment percent CV
8 could not be examined within this database because
9 there were no baseline CGM or SMPG measurements.

10 The second point that we would like to make
11 is that the choice of variable to define the
12 subgroup was post hoc. In search for a subgroup
13 with potentially lower risk of hypoglycemia, the
14 applicant reported that they have explored a
15 multitude of variables.

16 Lastly, the applicant's proposal for risk
17 mitigation in type 1 diabetic patients suggest
18 restricting the use of insulin icodec to patients
19 wearing a CGM device with percent CV less or equal
20 to 36 prior to initiation of insulin icodec
21 treatment. The applicant's assumption is that the
22 pretreatment percent CV levels will be comparable

1 to percent CV levels after initiation of treatment;
2 however such assumption cannot b e examined within
3 this database.

4 I will now turn the presentation over to
5 Dr. Elyes Dahmane, who will discuss pharmacometric
6 modeling of alternative dose titration strategies.

7 **FDA Presentation - Elyes Dahmane**

8 DR. DAHMANE: Hello. My name is Elyes
9 Dahmane. I'm the primary pharmacometrics reviewer
10 for this application. I'm going to share the
11 exposure-response modeling results investigating
12 whether alternative dose titration schedules of
13 insulin products could reduce level 2 hypoglycemia
14 and maintain glycemic control.

15 In study ONWARDS 6, the insulin icodec dose
16 was uptitrated or downtitrated based on the lowest
17 pre-breakfast fasting plasma glucose measured on
18 days 5 to 7; in other words, the last 3 days of the
19 dosing interval. The question being investigated
20 by exposure-response modeling is whether titrating
21 the dose of insulin icodec, based on the fasting
22 plasma glucose of alternative days than days 5 to 7

1 will reduce the incidence of level 2 hypoglycemia
2 and maintain acceptable efficacy.

3 Using a modeling approach, three titration
4 scenarios were simulated. The first scenario is
5 weekly titrating insulin icodec based on the lowest
6 fasting plasma glucose of days 2 to 4. The second
7 scenario is titrating insulin icodec based on the
8 lowest fasting plasma glucose of days 3 to 5. The
9 rationale for choosing days 2 to 4 or days 3 to 5
10 for alternative titration is that the maximum
11 glucose lowering effect of insulin icodec is
12 observed during these days and the incidence of
13 hypoglycemia is higher as well during these days.
14 Finally, the last simulated scenario is to maintain
15 the insulin icodec titration unchanged as studied
16 in ONWARDS 6, but reduce the dose of bolus insulin
17 on days 2 to 4.

18 In this table, I will summarize the outcomes
19 at week 26 for the fasting plasma glucose A1c and
20 the rate of level 2 hypoglycemia under the
21 different titration scenarios. Values in the
22 tables are means and 95 percent confidence

1 intervals. The first row of the table shows the
2 observed data from study ONWARDS 6 with an A1c
3 level at week 26 of 7.15 percent, a change from
4 baseline in A1c of minus 0.47 percent, and a rate
5 of level 2 hypoglycemia of 19.9 patient-years of
6 exposure.

7 The second row shows not the observed but
8 the model predicted outcomes from the studied dose
9 titration scenario in ONWARDS 6. As you can see,
10 the model predictions are matching the observed
11 results in the first row, suggesting that the model
12 is able to replicate the observed data and can be
13 used to perform predictions.

14 The third and fourth rows of this table show
15 the predicted results for insulin icodec dose
16 titration based on the lowest plasma glucose of
17 alternative days; here either days 2 to 4 or days
18 3 to 5. If you look at the last column, the rate
19 of hypoglycemia for both alternatives, the model
20 predicts a decrease in hypoglycemic events compared
21 to the study titration, with about 30 percent
22 decrease in hypoglycemic events from 21.2 to around

1 15 patient-years of exposure.

2 Although we predicted decrease in the rate
3 of hypoglycemia with days 2 to 4 or days 3 to 5
4 titration scenarios, these scenarios were predicted
5 to result in high A1c levels at week 26 of about
6 7.6 percent with no change from baseline in A1c
7 levels.

8 Finally, the last row of the table shows the
9 outcome from the simulation scenario in which the
10 studied insulin icodec titration is unchanged, but
11 instead, the dose of bolus insulin is reduced by
12 30 percent on days 2 to 4 of each dosing interval.
13 According to this scenario, the hypoglycemic events
14 decreased compared to the studied titration by
15 about 40 percent, from 21.2 to around 12.7, which
16 is comparable to what was observed in the insulin
17 degludec control arm in ONWARDS 6.

18 The predicted A1c at week 26 of 7.27 percent
19 and the change from baseline in A1c of minus 0.37
20 percent are comparable to the values observed in
21 ONWARDS 6, and therefore, this suggests no
22 compromise in glyceimic control.

1 The main conclusion that can be drawn from
2 these results are that titrating the dose of
3 insulin icodec based on the lowest fasting plasma
4 glucose of alternative days than days 5 to 7 is
5 predicted to reduce the rate of hypoglycemia but
6 will compromise the glycemic control. The last
7 option of reducing the dose of bolus insulin by
8 30 percent on days 2 to 4 was predicted to reduce
9 hypoglycemia and maintain glycemic control.

10 I will now turn the presentation over to
11 Dr. Frank Pucino, who will provide a summary of
12 safety and approaches to patient management.

13 **FDA Presentation - Frank Pucino**

14 DR. PUCINO: I'm Frank Pucino from DDLO. I
15 will now briefly summarize the benefit-risk and
16 proposed mitigation strategies. Insulin icodec was
17 determined to be noninferior to insulin degludec at
18 week 26 in ONWARDS 6. Weekly administrations of
19 insulin icodec could decrease the number of basal
20 insulin injections from 7 to 1 per week. Results
21 of secondary glycemic endpoints tend to favor
22 insulin degludec. Due to multiple limitations, the

1 results of the DTSQs analysis cannot inform whether
2 subjects were more or less satisfied with insulin
3 icodec compared to insulin degludec.

4 In ONWARDS 6, there were no meaningful
5 imbalances between arms and deaths,
6 discontinuations due to adverse events or SAEs,
7 excluding hypoglycemia; however, at week 57,
8 insulin icodec was associated with a 50 percent
9 higher incidence and an 80 percent higher event
10 rate, clinically significant or severe
11 hypoglycemia, compared with insulin degludec.

12 Higher rates were observed regardless of
13 whether hypoglycemia was captured by SMPG or CGM.
14 The risk was greatest on days 2 to 4 following
15 weekly injections, coinciding with insulin icodec's
16 peak glucose lowering effect. The observed risk is
17 consistent with a higher percent CGM-based time
18 below range in the insulin icodec arm.
19 Hypoglycemic events were similar between arms in
20 duration, management, and recovery.

21 Exploratory analysis assessed whether
22 patient selection could mitigate the risk of

1 hypoglycemia. Selecting patients with a CV less
2 than or equal to 36 percent could potentially
3 reduce the hypoglycemia risk of insulin icodec to
4 be comparable to the overall population in the
5 insulin degludec arm; however, within identical
6 percent CV subgroups, the risk of hypoglycemia was
7 always higher in the insulin icodec arm. No data
8 were provided to confirm that percent CV during the
9 first 2 weeks of treatment is representative of the
10 percent CV on the previous basal insulin therapy.

11 Pharmacometric modeling assessing changes to
12 basal and bolus components predicted that
13 alternative basal titration approaches reduce the
14 risk of hypoglycemia of compromised efficacy. In
15 contrast, 30 percent reductions in bolus insulin
16 dosing on days 2 to 4 maintained glycemic efficacy
17 and optimized safety; however, no clinical studies
18 were conducted to confirm that patients could
19 successfully titrate bolus insulin differently on
20 specific days of the of the week without increasing
21 medication errors.

22 This concludes our presentation. We would

1 now be happy to address any clarifying questions
2 and look forward to receiving input from the
3 committee on the discussion points of this meeting.
4 Thank you.

5 **Clarifying Questions to FDA**

6 DR. LOW WANG: Thank you so much for your
7 presentation.

8 We will now take clarifying questions for
9 the FDA. Please use the raise-hand icon to
10 indicate that you have a question and remember to
11 lower your hand by clicking the raise-hand icon
12 again after you've asked your question. When
13 acknowledged, please remember to state your name
14 for the record before you speak and direct your
15 question to a specific presenter, if you can. If
16 you wish for a specific slide to be displayed,
17 please let us know the slide number, if possible.
18 Finally, it would be helpful to acknowledge the end
19 of your question with a thank you and end of your
20 follow-up question with, "That is all for my
21 questions," so we can move on to the next panel
22 member.

1 I'd like to ask the first question. I
2 wanted to see if you could please pull up slide 46.
3 One thing I noticed is that there -- and this is
4 kind of actually looking back at the FDA briefing
5 booklet, table 17, which is on page 55 -- were
6 13 participants in the icodec arm and
7 7 participants in the degludec arm who had
8 discontinued the study. And then when we look a
9 little bit further to compare the differences in
10 discontinuation of treatment, it was about double
11 in the icodec arm, whether it was the main study or
12 the extension.

13 In terms of reasons for discontinuing the
14 treatment, hypoglycemic episode accounted for very
15 few, so 1 versus 0, but if you look at the reasons
16 for other -- because this is the largest number of
17 participants discontinued for some other
18 reason -- there were several that were related to
19 glucose controls, so hypoglycemia, glyceic
20 variability, unpredictability of the insulin. So
21 if you actually added those in, it would have been
22 maybe 8 participants in the icodec arm and zero in

1 the degludec arm who discontinued the treatment
2 because of concerns about hypoglycemia or other
3 glucose-related issues.

4 Now, looking at slide 46 and focusing on the
5 SAEs of hypoglycemia, I think one of my questions
6 here is what was the breakdown of the serious
7 criteria for the hypoglycemia? Because we can see
8 here that there is definitely a numerically higher
9 number of subjects, as well as number of SAEs
10 related to hypoglycemia in the icodec arm, and
11 thinking about burden for our
12 patients -- hospitalizations, ER visits et cetera,
13 aside from the treatment of the hypoglycemia -- I
14 was wondering if the FDA could give us information
15 about the breakdown for what made these episodes
16 serious.

17 DR. NGUYEN: Thank you. Dr. Pucino will
18 answer this question. Please bring up slide 151.

19 DR. PUCINO: Slide 151, please. Hopefully,
20 this provides the information you're interested in.
21 These are the patients in the insulin icodec arm
22 that experienced SAEs, and these are the 9 patients

1 and the 14 events. Basically, the preferred term,
2 MedDRA preferred term, that was used to report
3 these events was "hypoglycemia," yet if you look on
4 in the section under action, you'll see that loss
5 of consciousness was not uncommon with some of
6 these events.

7 DR. LOW WANG: Thank you. I think it's a
8 little bit hard for me to tell. So these met
9 serious criteria because of hospitalizations, ER
10 visits. What were the --

11 DR. PUCINO: Yes. Typically, these are
12 hospitalizations with loss of consciousness,
13 emergency physician visits. The first three were
14 reported as emergency physician visits, four
15 patients needed IV glucose, five had the dose
16 reduced, but two also required glucagon
17 administration.

18 DR. LOW WANG: Okay. Great. Thank you.
19 I'd like to move on to Dr. Brittain.

20 DR. BRITTAIN: Erica Brittain. Thank you.
21 My question relates to slide 66, which was a
22 summary slide, if I have that number right. Yes,

1 it's about that last part, about the questionnaire.

2 As we've seen before, there's some
3 suggestion that the results were somewhat worse in
4 the once-weekly arm for the survey results, but
5 this slide seems to be saying it cannot be
6 informative. So is the point being made here is
7 that you don't think that the questionnaire is of
8 value to consider?

9 DR. NGUYEN: I'm going to invite Dr. Daniels
10 to come up. In the meantime, can you please bring
11 up slide 105?

12 DR. DANIELS: Thank you. My name is Selena
13 Daniels, Deputy Director in the Division of
14 Clinical Outcome Assessment. Actually, can we pull
15 up slide 106? Thank you.

16 The issue here is we're not saying that the
17 DTSQ is not informative, but the data itself is
18 difficult to interpret for a number of reasons, the
19 first reason being that the DTSQ assesses
20 satisfaction of the patient's current treatment.
21 In this particular case, in ONWARDS 6, participants
22 were taking more than one current treatment in a

1 trial, so it's difficult to know if they were
2 satisfied with icodec or the product that they were
3 on. It's also unknown whether the components of
4 the treatment and satisfaction in DTSQs are
5 adequately assessed based on patient and clinician
6 input, meaning if it's comprising all components of
7 satisfaction that is meaningful to patients in this
8 particular context.

9 In addition, the DTSQs was administered at
10 baseline, week 26, which was the primary timepoint
11 at the secondary endpoint in week 52. We don't
12 know if we were missing important information
13 between baseline and week 26, and knowing whether
14 the trends of the satisfaction were moving in the
15 right direction all along through week 26 or if it
16 could have dipped or whatnot. It's also unknown
17 what a meaningful score change is in the DTSQ
18 Treatment Satisfaction score.

19 And lastly, there are limited details with
20 regard to the methods in terms of whether the tool
21 itself was translated and culturally adapted
22 appropriately. So in the absence of that, we don't

1 know if it's fit for purpose for the intended
2 populations in these multinational trials.

3 DR. BRITTAIN: Thank you.

4 DR. LOW WANG: Thank you.

5 Dr. Crandall?

6 DR. CRANDALL: Yes. Jill Crandall.

7 Actually, my question was exactly the same, so it's
8 been answered. Thank you.

9 DR. LOW WANG: Okay. Thank you.

10 Dr. Kalyani?

11 DR. KALYANI: Thanks. Rita Kalyani. I had
12 a question about slide 31, and it was in regards to
13 the change in A1c at week 52, comparing insulin
14 icodec to insulin degludec. In contrast to
15 week 26, where we saw that the A1c differences were
16 more comparable, in this one, icodec had a
17 0.38 percent reduction versus 0.52 percent
18 reduction. And I was curious how the methodology
19 for multiple imputation, the return to base
20 methodology, and the number of missing data at
21 week 52 may have impacted the results here, and
22 whether what we're seeing may reflect more missing

1 data potentially in the icodec arm versus the
2 degludec arm.

3 DR. NGUYEN: Dr. Crackel, would you like to
4 take that?

5 DR. CRACKEL: Thank you for the question.
6 The results for week 52 are based on the return to
7 baseline methodology. There's some more missing
8 data at week 52 which was expected. We did
9 consider the results at week 52. Even though the
10 lower bound of the confidence interval is greater
11 than zero, we considered that to be exploratory and
12 not confirmatory.

13 Does that answer your question?

14 DR. KALYANI: Yes. I guess I was wondering
15 if we would see more of a convergence towards zero
16 just because there is still missing data. And I
17 think that answered it, with the lower confidence
18 interval kind of bordering zero, it sounds like
19 this is more an exploratory analysis from what
20 you're saying; is that correct?

21 DR. CRACKEL: Yes.

22 DR. KALYANI: Alright. Thank you so much.

1 DR. LOW WANG: I don't see any other panel
2 members with raised hands. I did want to ask about
3 slide 11, if we could bring that up.

4 In this slide -- and we've seen different
5 forms of this -- it looks like by day 7, the
6 fraction of the weekly area under the curve for the
7 glucose infusion rate for day 7 is only 8.7 percent
8 compared to what would be around 14 to 15 percent
9 if the pharmacokinetics was even across the entire
10 week. So I was wondering if the FDA could comment
11 about this marked difference. It's about a
12 40 percent lower area under the curve here.

13 DR. NGUYEN: Can you please clarify that
14 question?

15 DR. LOW WANG: Yes --

16 DR. NGUYEN: Is that a clinical question or
17 is that more of a methodology?

18 DR. LOW WANG: Sorry. I guess clinical
19 question. So just thinking about the clinical
20 impact of this marked reduction in -- or the
21 marked, I guess, increase in glucose infusion rate.
22 I guess it would be a reduction by day 7 because of

1 the difference in pharmacokinetics over the course
2 of 7 days.

3 DR. NGUYEN: So the question, I'll repeat
4 it, to help Dr. Pucino.

5 Dr. Yanoff is going to answer this question.

6 DR. YANOFF: Dr. Low Wang, I think that,
7 clinically, this is alluded to in the other talks,
8 in that this pattern is probably what is driving
9 the hypoglycemia observations that we're seeing for
10 icodec versus degludec. I believe we showed a
11 slide showing that the hypoglycemia, the pattern
12 tended to occur on the same days where the GIR was
13 higher.

14 So if you're talking about a question about
15 the clinical relevance for safety, I think that's
16 primarily related to the hypoglycemia, which the
17 data do suggest reflect this variability. I know
18 in the clinical trials, there was some effort on
19 behalf of the patients to mitigate this by
20 self-adjusting their bolus insulin, and one of the
21 additional strategies that's been proposed by the
22 applicant is to try to do that a little bit

1 further.

2 As far as efficacy, we do look at A1c to
3 assess whether a product is providing glycemic
4 control. So as far as A1c, you're not really
5 seeing this reflected in the glycemic control, but
6 if we looked at day-to-day glycemic values in CGM,
7 you might see some higher values on day 7, but I
8 would have to defer back to the team to comment on
9 whether those higher values were clinically
10 concerning or whether they were just staying at the
11 higher end of the normal range; so I'll turn it
12 back over to the clinical team.

13 Did that answer your question?

14 DR. LOW WANG: Yes. Thank you. My question
15 was specifically that last part that you mentioned,
16 which is do we have -- I guess now I've clarified
17 my question, which is do we have CGM data that
18 shows that there is less efficacy or more
19 hypoglycemia on day 7 because of this difference in
20 pharmacokinetics through the course of the week?

21 DR. YANOFF: Okay. My team is flagging for
22 me that Novo Nordisk may have a slide and that was

1 shown in their presentation. If we could pull that
2 up again, that would go over that point.

3 DR. LOW WANG: Okay. I'd like to invite
4 Dr. Gough to answer the question.

5 DR. GOUGH: Yes. Thank you.

6 Towards the last part of that question, you
7 were asking about CGM data and the efficacy towards
8 the end of the week. What I can show you here on
9 slide CO-48 is CGM data in type 1 diabetes over the
10 7-day period, and what you can see is that the CGM
11 data over that period is reassuring in that insulin
12 icodec is very similar to insulin degludec. And
13 you can see if we look at the mean CGM measured
14 glucose over a week, it's not just similar to
15 insulin degludec, but also, for most of the time,
16 below the 180-milligram per deciliter threshold for
17 glycemic control.

18 So we would argue that this supports the
19 benefit that we see with insulin icodec and
20 supports the noninferiority with respect to the
21 A1c.

22 DR. LOW WANG: Okay. Terrific. Thank you.

1 Next, I'd like to call on Dr. Dutta.

2 DR. DUTTA: Hello. Sandeep Dutta. My
3 question is regarding slide 51. I'll proceed with
4 the question. It's a two-part question.

5 First is, how easily is this percent CV data
6 available for the prescriber to act on; how
7 convenient it is? And the second part is, while
8 the related risk is a little bit higher, there's a
9 small difference in relative risk between the
10 percent CV. Is that a clinically meaningful
11 strategy to use the percent CV for risk mitigation
12 for hypoglycemia?

13 DR. NGUYEN: I'll have Dr. Pucino answer the
14 first part, and then I'll have Dr. Song answer the
15 second part.

16 DR. PUCINO: For the first part,
17 particularly for the Dexcom G6 that was used for
18 this trial, it will report the ambulatory glucose
19 profile, and based on that, it will give you the
20 percent CV with that, as well as all the other
21 measurements. So it should be readily available to
22 prescribers and patients.

1 DR. DUTTA: Thank you.

2 DR. PUCINO: Does that answer the question?

3 DR. DUTTA: Yes. Thank you.

4 DR. LOW WANG: Thank you.

5 Next, Mr. Tibbits.

6 DR. NGUYEN: Actually, hold on. Can you
7 also bring up slide 137 to reinforce that point
8 that Dr. Pucino made? This is a standard
9 ambulatory glucose profile that comes out of a CGM.
10 You'll see there towards the middle that there's a
11 variable glucose variability there.

12 DR. DUTTA: And the second part of my
13 question was how meaningful is it as a risk
14 mitigation strategy?

15 DR. NGUYEN: Can you please repeat the
16 question, the second question, please?

17 DR. DUTTA: Yes. How meaningful is it for
18 clinical practice to use that percent CV as a risk
19 mitigation strategy to limit hypoglycemia?

20 (No audible response.)

21 DR. GOUGH: Okay. Thank you.

22 DR. LOW WANG: Actually, just a quick

1 question. Would the FDA like to respond to that
2 question?

3 DR. NGUYEN: We're trying to find the
4 correct slide to answer that question.

5 (Pause.)

6 DR. NGUYEN: Please raise slide 157.

7 DR. SONG: Dr. Jaejoon Song. This is the
8 same information that we provided in previous
9 slides, but in the table and above the bar plugs,
10 we included the risk difference, and crude, and the
11 95 percent confidence interval for the risk
12 difference. And as you can see for the percent CV
13 subgroup of less or equal to 36 percent, there were
14 approximately 4.5 additional level 2 or level 3
15 hypoglycemic events per person-years, and the
16 confidence interval excluded zero.

17 DR. DUTTA: So the difference between the
18 two groups of about 3 is clinically meaningful for
19 the prescriber.

20 DR. NGUYEN: Dr. Archdeacon will be taking
21 this question.

22 DR. ARCHDEACON: I think the slide that

1 we're showing, the risk difference, that's based on
2 the SMPG, so there we're seeing a difference of
3 about 3.

4 My statistical colleagues, do we have the
5 risk difference for if it was based on CGM? I
6 think slide 161; this is the same slide, I think.
7 Did we calculate the risk differences based on CGM
8 data? 158, please?

9 So here, the risk differences for the
10 overall population appears to be 18. With the risk
11 mitigation strategy, it reduces to 2, so that would
12 argue, based on the CGM data, that that was a
13 reasonably potent risk mitigation strategy. Based
14 on the SMPG data, I think we saw less effect of
15 that risk mitigation strategy.

16 DR. DUTTA: Thank you. That answers my
17 question.

18 DR. LOW WANG: I would like to move on to
19 Mr. Tibbits.

20 MR. TIBBITS: Thank you. Paul Tibbits.
21 This is maybe more of a flag than a question, but,
22 Dr. Low Wang, the question you asked earlier I

1 flagged as a note for me to raise and may be more
2 appropriate for discussion.

3 I still feel like maybe even if clinically
4 we don't have an answer from the data right now, I
5 still think from a patient perspective we need to
6 think about exactly what you noted, which is what
7 is the impact on patients in terms of having to do
8 additional calculations based on the day of the
9 week, based on the profile of the basal insulin?

10 So if we see such a dramatic reduction of,
11 potentially, impact on day 7, even if the CGM
12 numbers look the same, I'd be interested to see if
13 we know they remain looking the same because a
14 patient's boluses have increased by 10, or 20, or
15 30 percent on those days. So I still don't know
16 that we have a full picture of what seems to be a
17 pretty dramatic downturn at the end, even though
18 this discussion has largely focused on what's
19 happening on days 2 to 4. I think what's
20 happening, at least on that graph, also seems
21 relatively dramatic from the day in the life of a
22 patient's perspective.

1 DR. LOW WANG: Thank you for that comment.
2 I do think that in terms of multiple insulin dose
3 adjustments, it could be potentially problematic.

4 So I'd like to move on to Dr. Crandall.

5 DR. CRANDALL: Yes. Jill Crandall.
6 Actually, just following up on the the comment just
7 made by Mr. Tibbits, I thought that we were shown a
8 slide that showed time in range on each day of the
9 week of the dosing interval, and I thought that was
10 informative. I don't recall what the number was.
11 We just saw a slide looking at the mean glucose
12 levels by day of the week or dosing interval, but I
13 thought we also saw time in range, if that's
14 available.

15 DR. NGUYEN: Thank you. Yes, I think that
16 slide came from the applicant.

17 DR. LOW WANG: Yes. Novo Nordisk, please go
18 ahead and respond.

19 DR. GOUGH: Yes. If it would be helpful, I
20 can show you the slide that I showed earlier, which
21 shows the percentage time in range for each day of
22 the week, and you can see on day 7, we have a time

1 in range of over 56 percent, which admittedly is
2 lower than earlier in the week on days 2 and 3, but
3 it still shows a high proportion of time of
4 patients within target glucose range.

5 DR. CRANDALL: Thank you. This is the slide
6 I was referring to.

7 DR. LOW WANG: Thank you.

8 Moving on to Dr. Brittain.

9 DR. BRITTAIN: Yes. Erica Brittain. I am
10 trying to understand why the results are somewhat
11 different with the CGM. The results with respect
12 to hypoglycemia are somewhat different with respect
13 to the CGM results and the self-monitoring results.

14 Does anyone want to speak to that? I know
15 the study is not blinded, and if I'm understanding
16 correctly, the self-measurements are done based on
17 someone deciding they need to do it. And the
18 study's not blinded, so I don't know if that could
19 have any potential explanation for why the results
20 seem somewhat different.

21 DR. NGUYEN: I'll ask Dr. Pucino to answer
22 this question.

1 DR. PUCINO: Excellent question. There are
2 a couple different reasons. One is, as you
3 mentioned, the open-label design could have
4 influenced how often the SMPGs were checked. Doing
5 SMPGs is a manual assessment. Patients when they
6 became hypoglycemic were supposed to measure every
7 15 minutes until the blood glucose went above 70.

8 So there were all of those caveats for why
9 you might not have as many events, and once you get
10 to CGM events, there were enough events that things
11 seem to improve a little bit better, but personally
12 I feel that they're complementary of each other,
13 and they're both suggesting that things are going
14 in the same direction no matter what, but you're
15 dealing with a lot more events when things are done
16 by CGM, basically.

17 DR. BRITTAIN: Can I follow up just a moment
18 on that? When you say they're complementary, what
19 do you see as the advantage of the self-report
20 versus the CGM?

21 DR. PUCINO: I think the self-report, the
22 device that they use could measure blood glucose

1 values down to 10 milligrams per deciliter with the
2 Accu-Chek, so I think that's part of it. Actually,
3 when I say complementary, we do see things
4 coincide. If you're getting a 4-point SMPG with a
5 CGM, there is a lot of correlation between the two,
6 so I think they provide you some of the same but
7 also some different information on that.

8 DR. NGUYEN: I'm going to invite Dr. Yanoff
9 also to answer that question.

10 DR. YANOFF: Thank you, Frank.

11 I think there's one point that wasn't
12 mentioned. SMPG are finger sticks, and the
13 glucometers tend to be more accurate than the CGM,
14 historically. That has really been the main
15 advantage to SMPG until now. CGMs are now getting
16 to a point where they're more accurate, and there
17 are a couple that are approved to replace SMPG for
18 patient management, and the one that was used in
19 this clinical study is, I believe, one of those.
20 So we do believe it's reliable enough to assess
21 hypoglycemia in this study, but SMPG is still
22 considered more reliable, but it has its

1 disadvantages in that it requires patient effort,
2 it can't measure hypoglycemia at night, and it's
3 generally prompted by symptoms.

4 So given that hypoglycemia unawareness is a
5 common problem, you might miss those episodes as
6 well. The CGM is probably giving you a broader
7 picture of what we're seeing with this drug with
8 the caveat of the accuracy in the low end of the
9 range of the device. But given that all patients
10 use the same device, I think it's as reliable as we
11 can expect with the best of the current technology.

12 DR. BRITTAIN: Thank you.

13 DR. LOW WANG: So we're just a little bit
14 overtime before we're supposed to break for lunch,
15 but we have two more panel members with questions,
16 so we'll shorten our lunch slightly.

17 Mr. Tibbits?

18 MR. TIBBITS: Thank you. Paul Tibbits.

19 Dr. Brittain, I just wanted to respond very
20 quickly from a patient perspective currently on CGM
21 and having used self-monitoring as well. I think
22 all the comments that preceded mine are exactly

1 right. I think a lot of it is self-monitoring is
2 often precipitated by a feeling that something may
3 be happening, so whether you're going up or you're
4 going down, in this case, hypoglycemia. So it's
5 more of a snapshot and you don't know until you
6 monitor.

7 With the CGM, you have the advantage of
8 having trend arrows, so if I have a blood sugar at
9 120 and it's trending down, and I can see it's been
10 going from 140 to 120 and potentially still
11 trending down, I have the ability to take action
12 then and potentially prevent ever getting to
13 hypoglycemia versus self-monitoring, which I may
14 not feel something until 70 or 65, at which point
15 I've already entered into hypoglycemia, so I think
16 that probably explains part of it. And I would
17 note that the comment from the last FDA presenter
18 was exactly right, that both the Dexcom G6, and now
19 G7, based on FDA approval, both no longer require
20 calibration by self-monitoring mechanisms.

21 DR. LOW WANG: Great. Thank you.

22 One last question before we break for lunch,

1 and I'll ask you to be brief and state your name
2 for the record.

3 Dr. Crandall?

4 DR. CRANDALL: I recall that the
5 distribution of the cohort is relatively mostly
6 Caucasian, but I wonder if there are any racial or
7 ethnic differences observed in the frequency of
8 hypoglycemia with the two treatments.

9 DR. NGUYEN: I'm going to ask Dr. Pucino to
10 answer that question.

11 DR. PUCINO: Yes.

12 DR. NGUYEN: Please bring up slide 18.
13 Sorry.

14 DR. PUCINO: What's that?

15 DR. NGUYEN: Sorry. Please bring up slide
16 18 to help him.

17 DR. PUCINO: First of all, you're absolutely
18 correct; the distribution by race was somewhat
19 skewed to the Caucasian population. But with that
20 being said, the populations that were
21 enrolled -- actually, even for the black, African
22 American, population -- in the trial itself were

1 comparable to what you might see in the diabetic
2 population, but we do see lower numbers.

3 We had asked the applicant -- and maybe they
4 can respond also -- to look at subgroups that were
5 responsible for people having more recurrent events
6 in this trial, and based on the analyses that they
7 did for the subgroups, there didn't appear to be a
8 difference, although we are dealing with some
9 relatively small numbers to be able to tell that.
10 I don't know if Novo Nordisk could address that
11 also with the analyses that they did for subgroups.

12 DR. LOW WANG: I'd like to ask Novo if
13 they'd like to respond to that request.

14 DR. GOUGH: Yes. I can just confirm the
15 previous comments. We did perform a subgroup
16 analysis. The numbers were small, and there's no
17 appreciable differences between different groups,
18 and certainly between whites, Asians, and the black
19 or African Americans. There's consistency in
20 results across the program, across the study.

21 DR. CRANDALL: Okay. Thank you.

22 DR. LOW WANG: Thank you. I did see one

1 more raised hand from Dr. Onumah, and I'm hoping
2 that after the open public hearing, we'll have time
3 to take more clarifying questions, if we have time.

4 So we will now break for lunch and reconvene
5 at 1:15 Eastern Time. Panel members, please
6 remember that there should be no chatting or
7 discussion of the meeting topics with other panel
8 members during the lunch break. Additionally, you
9 should plan to reconvene at around 1:05 pm to
10 ensure you're connected before we reconvene at
11 1:15 pm. Thank you.

12 (Whereupon, at 12:43 p.m., a lunch recess was
13 taken, and meeting resumed at 1:15 p.m.)
14
15
16
17
18
19
20
21
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:15 p.m.)

Open Public Hearing

DR. LOW WANG: Welcome back. We will now begin the open public hearing session.

Both FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the applicant. For example, this financial information may include the applicant's payment of your travel, lodging, or other expenses in connection with your participation in the meeting. Likewise, FDA encourages you at the beginning of your statement to advise the committee

1 if you do not have such financial relationships.
2 If you choose not to address this issue of
3 financial relationships at the beginning of your
4 statement, it will not preclude you from speaking.

5 The FDA and this committee place great
6 importance in the open public hearing process. The
7 insights and comments provided can help the agency
8 and this committee in their consideration of the
9 issues before them. That said, in many instances
10 and for many topics, there will be a variety of
11 opinions.

12 One of our goals for today is for this open
13 public hearing to be conducted in a fair and open
14 way, where every participant is listened to
15 carefully and treated with dignity, courtesy, and
16 respect; therefore, please speak only when
17 recognized by the chairperson. Thank you for your
18 cooperation.

19 Speaker number 1, please unmute and turn on
20 your webcam. Will speaker number 1 begin and
21 introduce yourself? Please state your name and any
22 organization you are representing for the record.

1 You have five minutes.

2 MS. HEVERLY: Good afternoon. I'm Julie
3 Heverly. The first time I took an insulin
4 injection, I passed out in my doctor's office.
5 Diagnosed with type 1 during college, my body
6 reacted this way to shots for weeks. Up to 6 shots
7 a day, 7 days a week, I had to be monitored like a
8 toddler while dosing each life-saving injection.

9 Fear of needles is real for many, including
10 those of us living with diabetes. That fear can be
11 a barrier to proper and effective diabetes
12 management. If a once-weekly dose of insulin had
13 been available 25 years ago, I would have begged
14 for it; and then, like every time I change an
15 aspect of my management plan, I would have worked
16 with my care team to monitor and adjust therapy to
17 reduce the risk of adverse reactions. Innovation
18 is essential to the health, well-being, and the
19 survival of the 8 million Americans who rely on
20 insulin.

21 My personal health journey has led me to
22 serve as the Senior Director for the diaTribe

1 Foundation, a nonprofit dedicated to ensuring that
2 people with diabetes have the resources and
3 education needed to thrive. I have not received
4 any support from the sponsor related to my remarks.
5 diaTribe does receive funding from Novo Nordisk,
6 other pharmaceutical and device companies, and
7 various supporters of our mission. My remarks
8 today, and those that we submitted, are those of
9 diaTribe alone.

10 My life has been dramatically improved by
11 the evolution and availability of insulins, pumps,
12 and continuous glucose monitors, but even with
13 those advances, diabetes is unpredictable. There
14 are 42 factors that affect glucose levels. Trying
15 to manage diabetes remains a challenge for
16 millions. If you have seen one person with
17 diabetes, you have seen one person with diabetes.
18 It is an insidious, progressive condition.
19 Continued innovation and options that provide more
20 therapeutic flexibility are critical to meet our
21 individual needs.

22 For many people with diabetes, the fear of

1 needles and injections, developing scar tissue, and
2 the inconvenience and difficulty of injecting
3 insulin affects our ability to manage our disease.
4 This is not just anecdotal, but documented in
5 published studies noted in our written comments.
6 Once-weekly dose insulin has the potential to
7 improve insulin adherence, which will in turn
8 improve quality of life and health outcomes.

9 Weekly insulin may have particular benefits
10 for certain groups of patients such as people in
11 long-term care facilities. It also has the
12 potential, if accessible, to reduce health
13 disparities for those who don't have employment or
14 living arrangements. It allows them the
15 flexibility to test their glucose and dose insulin
16 frequently.

17 This advancement, along with access to CGM,
18 can help ensure that diabetes is better managed and
19 individuals can stay within their targeted glucose
20 range as long as possible. This is time in range,
21 and its many ranges provide clinicians and people
22 with diabetes real-time information of day-to-day

1 glycemic patterns not reflected by A1c. Millions
2 of us use this hundreds of times a day to make
3 activity, insulin, and nutrition adjustments that
4 minimize highs and lows and allow us to thrive
5 despite diabetes.

6 To make sure that regulatory decisions
7 support this amazing advancement in diabetes care,
8 diaTribe advocates for CGM-derived time-in-range
9 data to be included by FDA in drug labels. We urge
10 FDA to maximize the use of time-in-range data in
11 the label for insulin icodec, if approved, to the
12 fullest extent supported by the sponsor's
13 application. We also appreciate that not every
14 medical advancement is appropriate for every person
15 with diabetes and look forward to consideration by
16 the committee, FDA, and the sponsor on ways to
17 ensure benefits outweigh the risks for specific
18 populations.

19 diaTribe is committed to ensuring people
20 with diabetes have the information they need to
21 make life easier. We applaud and encourage
22 therapeutic innovation, such as weekly insulin,

1 while also promoting essential access to these new
2 therapies that allow us to live and thrive. The
3 voices of people with diabetes must be heard when
4 discussing advances in therapies and technologies
5 that directly affect our lives. As a human being
6 who often feels like my life is a daily science
7 experiment with diabetes, I thank you very much for
8 considering new tools that lessen this burden and
9 for the opportunity to share these statements.

10 DR. LOW WANG: Thank you.

11 Speaker number 2, please unmute and turn on
12 your webcam. Will speaker number 2 please begin
13 and introduce yourself? Please state your name and
14 any organization you are representing for the
15 record. You have 10 minutes.

16 DR. ABUDAGGA: Thank you for the
17 opportunity. My name is Azza AbuDagga. I'm a
18 Health Services Researcher with Public Citizen's
19 Health Research Group, and we have no financial
20 conflicts of interest. I'm going to start off by a
21 summary based on the FDA briefing document, a
22 summary of the benefits and hypoglycemia risks for

1 type 1 diabetes for insulin icodec users, and that
2 was based on the ONWARDS 6 only trial, which is the
3 only phase 3 trial done in type 1 diabetes. Then
4 I'm going to also summarize a few things that we
5 gleaned from the literature, which, understandably,
6 the FDA document did not include all aspects, but
7 we thought there are other aspects that should be
8 considered.

9 Starting off, based on the ONWARDS 6 trial,
10 the findings of that trial, according to the FDA
11 and the published articles for that in the
12 literature, the new treatment was noninferior to
13 insulin degludec, which is a proven daily basal
14 insulin, in terms of lowering the mean changes in
15 A1c at week 26 of follow-up; however, according to
16 the FDA, at week 52 follow-up, the reduction
17 actually numerically favored insulin degludec.

18 In terms of the promised improved patient
19 outcomes with this newly once-weekly dosing, the
20 FDA concluded that there's no sufficient evidence
21 to support the claim for a higher patient
22 satisfaction for this drug.

1 In terms of the hypoglycemia risk, the FDA
2 in the briefing document mentioned that at week 52,
3 icodec was associated with up to 80 percent more
4 clinically significant or severe hypoglycemia
5 events compared with the once-daily degludec. So
6 these higher rates with hypoglycemia with this new
7 product was associated with a higher rate of
8 hypoglycemia related adverse events, and the
9 hypoglycemia rate with icodec also coincided with
10 days 2 and 3, which directly coincides with the
11 peak glucose lowering effect of the drug.

12 Interestingly as well, the hypoglycemia rate with
13 this new drug was not exclusively associated with
14 the loading dose or limited to the early titration
15 phase at the start of treatment.

16 So it looks like the briefing document and
17 the discussion so far has been framed in a way, for
18 a lack of a better term, to find a niche market for
19 the diabetes 2 population, by virtue that there has
20 been exploratory post hoc analyses -- which is the
21 focus of the discussion, mainly -- which have used
22 the percent coefficient variation, which as I

1 gathered from the discussion earlier today was not
2 available at baseline for subjects in the ONWARDS 6
3 trial.

4 Also, they're proposing to limit its use in
5 diabetic patients with type 1 diabetes without a
6 history of recurrent or severe hypoglycemia or
7 hypoglycemia awareness, which is common in the
8 elderly. Also, the continuous use of glucose
9 monitoring seems to be a requirement for the label
10 for the drug, and also there's consideration for
11 alternative dose titration strategies for a bolus
12 injection during days 2 and 3 of the weekly icodex
13 injections, which were not tested in clinical
14 trials.

15 So we have issues with this approach, and I
16 think these issues also have been noted by the FDA
17 as well. First of all, to be clear, ONWARDS 6
18 trial excluded individuals with comorbidities or
19 hypoglycemia unawareness, limiting
20 generalizability, and also we cannot in good
21 conscience make explorations based on these trials.
22 Instead, the FDA should require Novo to conduct a

1 new trial that addresses these new assumptions and
2 concerns raised by the agency.

3 Also, the agency shouldn't rely on
4 simulation models or post hoc analyses and
5 exploratory analyses for the approval of drugs.
6 That shouldn't be a standard for diabetes drugs, or
7 any drug, really, that affects millions of people,
8 because if we do that, we're going to let
9 real-world experience be the arbitrar of the
10 safety, and that's not really acceptable given the
11 hypoglycemias that we're talking about.

12 Other issues with the FDA approach is the
13 consideration of the fact that icodec is not
14 peakless, the proposed weekly dose that applies to
15 type 1 diabetes, and also there's no reason to
16 believe that it doesn't apply to type 2 diabetes,
17 so that should be taken into consideration. Also,
18 we have to keep in mind that all of us as patients
19 affected by this the disease -- treating
20 physicians, scientists -- we all know that other
21 factors such as fasting, exercise, illness,
22 infections, hormones, surgery, to name a few, all

1 of these factors pose challenges for icodec dosing
2 and the effect of frequency and intensity of
3 hypoglycemia. I haven't seen any evidence that
4 these factors were investigated in clinical trials.

5 Also, hypoglycemia was not studied as a
6 primary outcome in any of the six ONWARDS trials;
7 therefore, according to the published articles of
8 those trials, they acknowledge that any lack of
9 statistically significant differences between
10 hypoglycemia and the comparator basal insulin for
11 type 2 diabetes trials do not necessarily reflect a
12 lack of clinical effect.

13 Issues that were not raised in the FDA
14 document -- understandably because it was limited
15 in the scope that they chose to focus on -- we
16 should keep in mind that the open-label design used
17 on five of the six ONWARDS trials may have impacted
18 the dosing and monitoring of hypoglycemia adverse
19 events across these trials altogether, or five
20 trials. And also, specifically for ONWARDS trial 6
21 that has been emphasized by the FDA and the sponsor
22 as well, and I'm quoting here from the article,

1 "Because participants were permitted to adjust
2 their bolus dose without input from a trial
3 investigator, the knowledge of which treatment they
4 were receiving could have affected any dose
5 adjustments," and consequently the conclusions
6 seemed to have favored icodec for the primary
7 outcome.

8 Also, the higher satisfaction claim for
9 icodec was not adequately supported. In fact, in
10 ONWARDS 6 -- I'm quoting here also from the
11 article -- "there was a statistically significant
12 treatment difference in favor of degludec in the
13 overall treatment satisfaction score from baseline
14 to weeks 26 and 52."

15 Other concerns from the literature, there
16 was modest weight gain among icodec users in
17 ONWARDS 2. Also, in ONWARDS 3, there was a higher
18 rate of diabetic retinopathy in that trial among
19 icodec users. There are also evidence of
20 immunologic events, neutralizing insulin antibodies
21 that haven't been adequately tested. We'd be
22 interested to find information about that.

1 Hypersensitivity is a real challenge, and we also
2 need to have more information about that before
3 making any decisions about this drug. Also,
4 there's missing information for pregnancy/lactation
5 and people who are 75 or older who are not
6 represented in clinical trials.

7 Other considerations, the utility of the
8 proposed dosing changes cannot simply be
9 extrapolated from the current once-daily treatments
10 without empirical testing; and also the second
11 point that I have here, and I've taken from the
12 American Diabetes Association, they say simple and
13 well-evidenced titration regimens for insulin
14 products is needed, so we cannot really use complex
15 treatment regimens here.

16 Also, long-term studies lasting more than
17 one year are needed to assess diabetes and
18 cardiovascular outcomes related to icodec relative
19 to the proven daily basal insulins. Also,
20 information regarding the use of this drug in the
21 hospital setting is missing -- there are no studies
22 in the literature about that -- and clearly the use

1 of this drug is useless for people who use
2 automated insulin delivery systems.

3 Also, we cannot in clear conscience speak
4 about this drug without mentioning the fact that
5 Novo has chosen to discontinue its older proven
6 daily basal insulin, detemir, shifting its
7 marketing strategy towards insulin icodec to force
8 as many diabetes patients as possible to switch to
9 its more lucrative icodec.

10 So in conclusion, diabetes patients wouldn't
11 be served. I understand all the frustration out
12 there, the adherence to injections, and the
13 convenience of daily dosing, but a premature
14 approval of an inadequately tested insulin is not
15 going to be of service to diabetes patients. Also,
16 as Public Citizen, we urge the advisory committee
17 to vote no on the question regarding whether the
18 applicant demonstrated that the benefits of insulin
19 outweigh the risks for improving glycemic control
20 in type 1 and also for type 2 diabetes because
21 these are not immune to the same factors here.

22 So finally, we urge the FDA to set a high

1 bar for approving ultra long-term insulin by
2 requiring new clinical trials to address the issues
3 that have not been resolved so far, and that's
4 important given that there are at least two more
5 similar drugs in the pipeline. Thank you for the
6 opportunity to comment today.

7 DR. LOW WANG: Thank you so much.

8 Speaker number 3, please unmute and turn on
9 your webcam. Will speaker number 3 begin and
10 introduce yourself? Please state your name and any
11 organization you are representing for the record.
12 You have five minutes.

13 DR. DANNE: Good afternoon. My name is
14 Dr. Thomas Danne. I'm the Chief Medical Officer of
15 JDRF International, the leading charitable
16 organization funding type 1 diabetes, T1D,
17 research, with a mission to accelerate
18 life-changing breakthroughs to cure, prevent, and
19 treat T1D and its complications. By way of
20 disclosure, prior to becoming JDRF's chief medical
21 officer, I received study support and honoraria for
22 advising Novo Nordisk during their icodec

1 development. JDRF does not have any financial
2 disclosures.

3 My comments today will focus on two key
4 topics, the substantial burdens and unmet needs
5 that still exist for those living with T1D and how
6 once-weekly insulin formulations can help to meet
7 these needs and alleviate some of the burdens of
8 the disease.

9 While technologies to administer insulin and
10 continuously monitor glucose have significantly
11 improved, there is no set-it and forget-it
12 treatment currently available for T1D. As a
13 result, recent data from the T1D Exchange shows
14 that in the U.S., only 34 percent of adults and
15 22 percent of children and adolescents meet the
16 recommended A1c target of less than 7 percent. Put
17 another way, 66 percent of adults and 78 percent of
18 children and adolescents are not able to meet their
19 treatment goals.

20 In the U.S., there is a 13-year difference
21 in life expectancy for those with type 1 diabetes
22 compared to those without. Globally, this gap is

1 approximately 24 years and as high as 46 years in
2 low-income countries. This is simply unacceptable,
3 and until there are cures, new therapies that give
4 people with T1D more options to best manage their
5 disease are sorely needed. The availability of
6 once-weekly insulin will help meet the needs of
7 people living with T1D in many ways.

8 As has been discussed today, a higher rate
9 of hypoglycemia was seen in the ONWARDS 6 trial,
10 most notably with self-measured blood glucose,
11 SMBG. The comparator was daily administration of
12 the second generation basal analog degludec, which
13 has a significantly lower rate of hypoglycemia than
14 insulin glargine, which is the basal analog with
15 daily administration most frequently used in the
16 U.S.

17 It is important to note that this risk in
18 ONWARDS 6 is also observed to decrease meaningfully
19 when assessed with continuous glucose monitoring,
20 CGM, data. The median CGM-based hypoglycemia
21 duration was also found to be comparable between
22 the treatment arms. JDRF supports the use of CGM

1 for all people with T1D, as this gives a better
2 picture of glucose levels than SMBG and provides
3 better information for individuals to manage the
4 risk of hypoglycemia that accompanies the use of
5 insulin products.

6 Nearly 40 percent of people with T1D miss at
7 least one basal insulin dose per month. Reducing
8 the number of injections required means more
9 convenience, better adherence, less burden, less
10 risk for injection site reactions, and less
11 potential for dosing errors. Recent international
12 real-world data from 3,945 adults with CGM coverage
13 and SMART [ph] pending data has shown that missing
14 two basal insulin doses over a 14-day period would
15 be associated with a more than 5 percent decrease
16 in percentage of time-in-target glycemic range,
17 7280, which is considered clinically relevant.

18 Missed doses may also lead to diabetic
19 ketoacidosis, or DKA, a serious metabolic condition
20 with mortality rates as high as 4 to 10 percent.
21 DKA commonly results from insufficient insulin
22 administration, and once-weekly insulins will

1 likely mitigate this risk by maintaining the
2 presence of insulin and minimizing missed doses.

3 If determined by the FDA to be safe and
4 effective, the addition of the first once-weekly
5 insulin will help to address the stark unmet needs
6 of those living with T1D. Our hope is that as we
7 gain more experience with this type of therapy, the
8 entire T1D population will be able to benefit. On
9 behalf of JDRF, I would like to thank the
10 committee, FDA, and the sponsor for their careful
11 consideration of the benefits and risks of this
12 important new option for people with T1D. Thank
13 you very much.

14 DR. LOW WANG: Thank you.

15 Now, we'll move on to speaker number 4.
16 Speaker number 4, please unmute and turn on your
17 webcam. Will speaker number 4 please begin and
18 introduce yourself? Remember to state your name
19 and any organization you are representing for the
20 record. You have five minutes.

21 MS. CLOSE: Hello. I'm Kelly Close, founder
22 of Close Concerns. We have no financial

1 disclosures. As background, my team at Close
2 Concerns and I live to make people smarter about
3 diabetes through a new service, Closer Look, that
4 we created back in 2005 that focuses on dozens of
5 scientific, regulatory, and advocacy meetings that
6 we attend each year. Today is one of those
7 meetings, and we couldn't be more grateful to be
8 here.

9 First of all, for everybody without
10 diabetes -- I mean, if you took long-acting
11 insulin, would you rather take long-acting insulin
12 once a day or once a week? Risk mitigation has
13 come so far, and we salute an unsung hero today.
14 CGM clearly has made what is happening in diabetes
15 so much more understandable. We've seen it. We've
16 seen it all morning, and we love that everyone here
17 can understand diabetes better because of this
18 technology, and this technology can make a huge
19 difference in a weekly.

20 So we believe recommending approval of once
21 weekly could reduce burden for some people with
22 diabetes. It isn't everyone, but it could be so

1 many, and especially many who have been
2 marginalized, who don't have nearly the care that
3 they need, maybe who have had to survive on not
4 even just NBI, but taking NPR, and taking NPH, and
5 R insulin rather. Many of those people, they could
6 leap frog not just going to long-acting insulin and
7 not just going to next-gen long-acting insulin, but
8 going to once-weekly insulin. You guys have an
9 amazing chance to make this happen.

10 Many people with type 1 diabetes and type 2
11 diabetes are already on automated insulin delivery,
12 which is ADA standard of care for those on prandial
13 insulin. Of course, given the heterogeneity of
14 diabetes, it's not right for absolutely everyone,
15 and not one of us with diabetes is doing well, but
16 everyone is doing well, and this is possible.
17 Once-weekly insulin could meaningfully improve
18 adherence for those who need it and could improve
19 not just their glycemic health, but also their
20 heart health, their kidney health, their liver
21 health. Complication reduction has never been so
22 possible, and thank you to FDA for your work for

1 this end. Once-weekly insulin has a key place
2 here, and so many of the interventions that you
3 have made possible over the last 10-15 years have
4 made a huge difference here.

5 Amid the ADA's very impressive drive towards
6 standardization in the care of people with type 1
7 diabetes and type 2 diabetes, treatment still
8 should be personalized, and maybe it should be
9 especially personalized now. And for those
10 clinicians who recommend once weekly to their
11 patients, if we're lucky enough to see approval
12 today, we stress and plead to you that it
13 absolutely must be used alongside CGM, and thank
14 you in advance to the panelists today for
15 prioritizing this. If somebody says that they
16 don't want to or can't afford to use CGM, then we
17 hope that we'll work to get them CGM so that they
18 can use this innovation of once-weekly insulin.

19 Well, hypoglycemia is an important concern.
20 Hypoglycemia is preventable with continuous glucose
21 monitoring, standard of care, again, for all people
22 on insulin, and it routinely reduces the number of

1 hypoglycemic events in people with T1D and for many
2 with T2D, again, those on prandial insulin, and for
3 those with problematic hypo who are on
4 sulfonylureas, many of whom, by the way, could
5 undoubtedly benefit from once-weekly insulin; CGM
6 is right for them, too, so thank you to FDA.

7 CDC reports that severe hypo numbers are
8 going down. 240,000 people visited the ER in 2016
9 for severe hypoglycemia. That number was down in
10 2022 to 200,000. That is because of all of you, so
11 many of the stakeholders here. Type 1 diabetes is
12 a 24/7 responsibility, as you've heard from
13 panelists today already. Managing this disease
14 looks different for every one of us. What I
15 believe we can all agree on is that we would
16 appreciate additional options and choices for
17 managing diabetes and opportunities to reduce the
18 amount of time we need to spend each day managing
19 it.

20 We recognize that the FDA advisory
21 committee's role is to assess clinical benefit
22 versus risk. There is risk here, no question. It

1 can be mitigated. Certainly, given ADA's standard
2 of care, automatic insulin delivery is the first
3 choice for most people with type 1 diabetes and
4 type 2 diabetes using prandial insulin if they can
5 afford it, but not everybody can wear or afford an
6 automated insulin delivery device, and for these
7 folks, we hope they can get a weekly.

8 Elaine Young, my colleague at Close
9 Concerns, and multiple other team members also
10 wanted me to convey their hope that FDA will
11 recognize and consider the diverse perspectives and
12 experiences held by over a million and a half
13 adults in the U.S. with T1D, including people who
14 struggle to take insulin on a daily basis, people
15 who are hospitalized, people who live in nursing
16 homes like Julie said, rehab centers, and more.
17 While a weekly will not be absolutely
18 straightforward management for those going into or
19 coming out of the hospital, not even close, there's
20 going to need to be a lot of work, a lot of
21 advisory boards, but we believe the chance for
22 people to have another option in the treatment

1 armamentarium for type 1 diabetes is invaluable.

2 In closing, you've done so much for us
3 people with diabetes, over so many years. A lot of
4 the innovation over that time has been for people
5 with type 2 diabetes, primarily, more so than
6 type 1 diabetes, and a lot of the innovation has
7 been for those who have resources. Today is for
8 those in the shadows. While it goes without saying
9 that people with type 2 diabetes can benefit from
10 once-weekly insulin, you also can meaningfully
11 improve life for people with diabetes for everyone,
12 especially if you make sure that they have the
13 invaluable tool of CGM. Banting and Best I think
14 would be so happy to see this approval. Thank you
15 very much.

16 DR. LOW WANG: Thank you.

17 Speaker number 5, please unmute and turn on
18 your webcam. Will speaker number 5 begin and
19 introduce yourself? Please state your name and any
20 organization you are representing for the record.
21 You have five minutes.

22 MR. BELTRAN: Good afternoon. My name is

1 Alan Beltran, and I'm a research analyst at dQ&A.
2 With me are Andrew Goyette and Mahima Chillakanti,
3 associates at Close Concerns. dQ&A is a healthcare
4 market research company focused on diabetes with
5 several companies in the industry as clients.
6 Close Concerns operates an independent information
7 service focused on diabetes, pre-diabetes, and
8 obesity.

9 The data we are about to present comes from
10 a dQ&A national survey of over 6,000 patients with
11 type 1 and type 2. This data was collected over
12 several weeks earlier this month. Neither of our
13 two organizations have received funding from Novo
14 Nordisk for this research, nor have we consulted
15 with company about these results.

16 Eligible survey respondents were shown this
17 question. They were told that a major insulin
18 manufacturer is currently developing a once-weekly
19 basal insulin that would be as safe and effective
20 as other daily basal insulins currently available.
21 They were also explicitly told that hypoglycemia is
22 the most common side effect, especially for

1 type 1's who are at higher risk than when taking
2 daily basal insulins. In total, 1,876 patients
3 answered this question.

4 MS. CHILLAKANTI: So looking to sentiment
5 among people with type 1 diabetes, out of a strong
6 sample size of 438 people on multiple daily
7 injections of insulin, 1 in 4 said that they would
8 definitely use a weekly basal insulin and
9 44 percent said that they would likely use a weekly
10 basal insulin; 26 percent said they would likely
11 not use weekly insulin and 5 percent said that they
12 would definitely not use weekly insulin. Note that
13 82 percent of respondents use CGM.

14 Fewer injections and convenience were the
15 biggest reasons for positive sentiment.
16 Ten percent of positive verbatims also indicated a
17 desire for better diabetes management. Meanwhile,
18 out of those expressing negative sentiment, nearly
19 half raised concerns about hypoglycemia, driven in
20 part by the mention of hypoglycemia in our survey
21 question. Overall, nearly 70 percent of
22 respondents, or 302 people, indicated that they

1 would either definitely or likely use a weekly
2 basal insulin, which leads us to believe that
3 once-weekly insulin could be a valuable option for
4 some patients who may even prefer it over
5 once-daily insulin. As is with glucose lowering
6 therapies, hypoglycemia risk medication remains
7 critical.

8 MR. GOYETTE: While we realize that this
9 meeting focuses on type 1 diabetes, we do see
10 similarly high positivity among people with type 2,
11 where use of this insulin may be less
12 controversial, and that sentiment comes from very
13 similar reasons as those mentioned by people with
14 type 1 diabetes. For those on basal bolus insulin,
15 fewer injections and convenience were also
16 mentioned frequently as positives. In contrast to
17 those with type 1, hypoglycemia concerns were not
18 common.

19 Among those on basal-only insulin, we also
20 see that reduced injection burden is mentioned
21 frequently as a positive, and again, we did not see
22 significant hypoglycemia concerns. Those not on

1 insulin who have discussed starting it with their
2 healthcare provider also expressed similar
3 positivity to other groups. Since hypoglycemic
4 concerns may be greater among those with type 1
5 than type 2, education and discussion of risk
6 management between people with type 1 and their
7 care providers are clearly needed if this insulin
8 is made available.

9 MR. BELTRAN: To summarize, these results
10 show considerable enthusiasm for a once-weekly
11 basal insulin among type 1's and especially among
12 type 2's, but they also underscore a need for
13 proper patient education and for risk mitigation in
14 all its forms. Among type 1's expressing negative
15 sentiment, hypoglycemia was by far the biggest
16 concern compared to type 2's expressing negative
17 sentiments who were primarily concerned with not
18 wanting to change their current therapy regimen.
19 Respondents with negative sentiment were in the
20 minority, but there are actual insights to be taken
21 from their hesitation about the implementation of
22 this product and the risk management that providers

1 and patients would need to take. Thank you very
2 much for your time today.

3 DR. LOW WANG: Thank you.

4 Now, I'd like to move on to open public
5 hearing speaker number 6. I understand we have
6 three speakers for this slot as well, for this
7 five-minute time slot. Speaker number 6A, please
8 unmute and turn on your webcam. Will speaker
9 number 6 begin and introduce yourself? And for
10 each speaker, please remember to state your name
11 and any organization you're representing for the
12 record. You have a total of five minutes. Thank
13 you.

14 DR. REDDY: Dr. Low Wang, 6C is the first
15 speaker.

16 DR. LOW WANG: Okay, go ahead. Thanks.

17 DR. REDDY: My name is Sethu Reddy, Past
18 President of the American Association of Clinical
19 Endocrinology, and we represent AACE today. I'll
20 start off, and then hand over to Professor McGill,
21 and then Dr. Biggs.

22 My previous roles have been as Chair of

1 Endocrinology and Diabetes Metabolism at -- is my
2 microphone on?

3 DR. LOW WANG: I can hear you fine.

4 DR. REDDY: -- Cleveland Clinic and as Chief
5 of Adult Diabetes at Joslin Diabetes Center. Thank
6 you for this opportunity. It was very helpful to
7 hear both the sponsor's and the FDA analysis; two
8 observations before I hand it over to Dr. McGill.

9 Clinical research protocols tend to be very
10 rigid and often hinder the clinician to provide
11 optimal individual care. If one strays from the
12 protocol, the study gets sabotaged and is for
13 naught, so it is not the same as the real world
14 taking care of patients.

15 The other point, without reiterating the
16 care gaps and the needs for those with type 1 and
17 type 2 diabetes, let me highlight one observation
18 over the last 40 years, beginning with beef/pork
19 insulin, and lente, to NPH insulin, all of which
20 were highly variable pharmacokinetics from
21 day to day. I realized that as a practitioner, the
22 most important facet of insulin is its reproducible

1 and predictive kinetics. This allows me to tailor
2 the regimen according to how an individual patient
3 responds to the particular insulin type and dose,
4 so going from population statistics to individual
5 care is quite a different matter.

6 I'll now ask Dr. McGill to say a few words.

7 Dr. McGill?

8 DR. MCGILL: Can everybody hear me? I was
9 not able to hear --

10 DR. LOW WANG: Yes.

11 DR. MCGILL: -- Dr. Reddy. Can you hear me?

12 DR. LOW WANG: Yes, I can hear you.

13 DR. MCGILL: Okay. I can't hear others.

14 So I'm Dr. Janet McGill. I'm Professor of
15 Medicine at Washington University School of
16 Medicine, and I, too, represent AACE and practicing
17 endocrinologists and physicians who treat diabetes.
18 We, to reiterate, have reviewed the safety and
19 efficacy data. We also want to speak to the care
20 gaps of current insulin therapy.

21 We must treat patients who are not enrolled
22 in clinical trials, who've had DKA for 3 or 4 times

1 this year, who have an A1c of 13. We have to
2 design clinical and effective regimens for patients
3 who struggle to take insulins or patients who
4 require caretakers of one sort or another. We
5 support the approval of insulin icodec for all
6 insulin-taking patients. Thank you.

7 DR. BIGGS: Hi. Can you hear me ok?

8 DR. LOW WANG: Yes, I can hear you.

9 DR. BIGGS: Okay, good.

10 My name is William Biggs. I'm an
11 endocrinologist in Amarillo, Texas, and I'm the CEO
12 of an accountable care organization that oversees
13 care for about 35,000 people in the Texas
14 panhandle. I've been an investigator in the
15 ONWARDS trials. I've got three perspectives that
16 I'd like to share.

17 First, as an ACO director, we have a large
18 cohort of patients with special needs that would
19 greatly benefit from a once-a-week insulin
20 schedule. For instance, we have home health
21 agencies visiting patients for the sole purpose of
22 of giving daily insulin injections in their homes.

1 We foresee an environment where we'd be able to do
2 more remote patient monitoring and have home
3 agencies visit them weekly instead of daily,
4 resulting in lower costs and still providing the
5 same degree of supervision.

6 As an investigator, one of my concerns was
7 the transition of care for patients to hospital or
8 surgical settings, if that became necessary. I did
9 have the experience of two of our patients that
10 were hospitalized during the trials, and we
11 communicated with the hospital staff and surgeons
12 that they were on an investigational, long-acting,
13 weekly insulin and that we needed additional
14 monitoring to ensure their safety; and it was to
15 our pleasant surprise that the glycemic control was
16 actually superior to what we usually saw with dose
17 transitions.

18 The transition into the hospital often
19 entails quite a delay in the ER, where the
20 patient's diabetes is not dealt with necessarily
21 appropriately or in a timely fashion, and the long
22 duration of action of insulin was actually a

1 benefit. We didn't encounter any hypoglycemia and
2 the post-operative courses were actually smoother
3 than we expected.

4 Finally, as an investigator, I have to say
5 that this insulin was somewhat unique in that our
6 patients really didn't want to go off of the icodec
7 at the end of the trial, that they were asking for
8 extensions that we did not have the ability to give
9 them. Most of our patients indicated that they
10 would like the option to extend their time on
11 weekly insulin and almost begrudgingly accepted
12 going back to daily insulin. So this does indicate
13 that there's an unperceived and unmet need for a
14 weekly insulin, and all three of us are available
15 for questions if you have any from the committee.
16 Thank you.

17 **Clarifying Questions (continued)**

18 DR. LOW WANG: Thank you all so much.

19 I think that now we are at the end of our
20 open public hearing portion of the meeting, and we
21 will not be taking further comments from the
22 audience. I would like to express my sincere

1 thanks to all of our OPH speakers for sharing your
2 experiences, your thoughts, your insights, and
3 opinions regarding today's topic.

4 We do have some additional time, and I'd
5 like to take remaining clarifying questions.
6 Again, please use the raise-hand icon to indicate
7 that you have a question, and remember to put your
8 hand down after you've asked your question. Please
9 remember to state your name for the record before
10 you speak and direct your question to a specific
11 presenter, if you can. If you wish for a specific
12 slide to be displayed, please let us know the slide
13 number if possible. And as a gentle reminder, it'd
14 be helpful to acknowledge the end of your question
15 with a thank you and end of your follow-up question
16 with, "That's all for my questions," so we can move
17 on to the next panel member.

18 So I do see that Dr. Crandall has her hand
19 up, so I'd like to call on Dr. Crandall.

20 DR. CRANDALL: Thank you. Jill Crandall.
21 This is, I think, a question for the sponsor. It
22 was mentioned earlier about the specific language

1 in the EMA approval for insulin icodec, something
2 to the effect of for type 1 diabetes, it was
3 indicated special populations are only of clear
4 benefit, so I could use more information about that
5 language.

6 DR. LOW WANG: Could I ask Novo Nordisk to
7 go ahead and respond to that question?

8 DR. GOUGH: Yes. To take that question
9 regarding the EMA indication or approval, I'll hand
10 over to my executive director in Regulatory
11 Affairs, Shawn Hoskin.

12 MR. HOSKIN: Shawn Hoskin. The indication
13 is for treatment of diabetes mellitus in adults,
14 and it's for patients with both type 1 and type 2
15 diabetes. There were a number of different risk
16 mitigations which were proposed in the EU label.
17 The first was that for type 1 patients treated with
18 insulin icodec, that there was a higher risk of
19 hypoglycemia compared to insulin degludec.
20 Patients with type 1 diabetes should only be
21 treated with insulin icodec if a clear benefit from
22 a once-weekly pathology is expected, and that most

1 hypoglycemic episodes were observed on days 2 to 4
2 after the weekly administration.

3 Previously, I believe there was also a
4 question regarding the EU label regarding whether
5 newly diagnosed patients should be included or not,
6 and there is information in the EU label in the
7 Special Warnings and Precautions section, and there
8 it is noted that the safety and efficacy of icodex
9 in newly diagnosed type 1 diabetes patients have
10 not been established and that no data is available
11 yet. So that was the information related to newly
12 dosed patients that was included in the EU label.

13 DR. CRANDALL: Thank you.

14 DR. LOW WANG: I'll ask just a follow-up
15 question related to the EU label. Could the
16 sponsor please clarify whether or not this has been
17 approved in the EU yet?

18 DR. GOUGH: Yes, I can confirm it has been
19 approved in the EU. Maybe I could also ask,
20 Dr. Wang, at some point, we'd be grateful if we
21 could add some further information. There were a
22 few questions before lunch that I think required

1 some further data and further response, and when
2 you think it's appropriate, we would be delighted
3 to do that.

4 DR. LOW WANG: Yes, thank you. We'll see
5 how the time goes.

6 So let's move on to Mr. Tibbits.

7 MR. TIBBITS: Thank you. Paul Tibbits.
8 This is a question for Novo Nordisk. One of the
9 things I discussed earlier -- and I think it was
10 implicit and potentially one of Dr. Low Wang's
11 questions -- is how patients, particularly type 1
12 patients, self-managed with their boluses during
13 the course of the week.

14 Did you collect data, either through a
15 patient diary or any kind of way, about what a
16 patient's weekly or daily bolus amount was in a way
17 that we could separate it from a day-to-day basis
18 and day 1, day 2, day 7 to see what those
19 fluctuations might look like?

20 DR. GOUGH: Yes. I can confirm, we did
21 collect that information. We collected information
22 on a bolus dose and bolus dose adjustments on days

1 of the week. And what I can show is in ONWARDS
2 6 -- and what I'm showing on this slide is
3 ONWARDS 6 -- I'm showing you the daily total bolus
4 insulin dose on the Y-axis and the days of the week
5 on the X-axis, and in gray, you can see insulin
6 degludec and in blue you can see insulin icodec.

7 You can see that in the trial, there was a
8 small reduction by patients on days 2, 3, and 4,
9 and this was not of the order of magnitude that we
10 would anticipate would be required to impact on the
11 rate of hypoglycemia, but certainly some patients
12 did make that dose adjustment. So I hope that
13 provides you with the information that you've
14 requested.

15 MR. TIBBITS: Sorry. If I could just jump
16 in, Dr. Low Wang, it does to some degree, but just
17 to make sure I'm reading the material correctly,
18 this is essentially a mean across all patients
19 reflecting the last 2 weeks of the planned
20 treatment, right?

21 DR. GOUGH: That is correct, yes.

22 MR. TIBBITS: Okay. Perfect. Thank you.

1 DR. LOW WANG: Thank you.

2 Before we move on to Dr. Greevy, I just
3 wanted to see if Dr. Onumah had a question. You
4 had a raised hand before our break, so I just
5 wanted to confirm.

6 DR. ONUMAH: I did, but that question
7 actually was a comment, and it was actually
8 explained by several other members. It was
9 concerning the CGM and the blood glucose
10 monitoring, and where the data might be a little
11 different.

12 DR. LOW WANG: Okay. Alright. Terrific.
13 Then moving on to Dr. Greevy.

14 DR. GREEVY: This is Robert Greevy. One
15 comment that's come up in every set of sessions,
16 the public session, the FDA's presentation, and the
17 sponsor session, is that degludec is really a
18 platinum standard for hypoglycemia to compare
19 against; it's just exceptionally good at not
20 causing hypoglycemia.

21 Is there a way to quantify that for us?
22 I've been just digging around. I'm getting a sense

1 that glargine, for example, in comparison to
2 degludec, seems to have a relative risk of around
3 1.6 or so, and I'm just looking to see if anyone
4 has better quantification than that for me.

5 DR. GOUGH: So you're correct. We did
6 choose degludec, if you like, the platinum standard
7 in terms of its rates of hypoglycemia. Indeed,
8 degludec is the only basal insulin that has within
9 its label reference to the fact that there are
10 lower rates of severe hypoglycemia with insulin
11 degludec compared to a basal insulin comparison, so
12 we did go up against a very strong basal insulin in
13 ONWARDS 6.

14 It's always difficult to do indirect
15 comparisons between trials because there are so
16 many things that are different, but if you look at
17 previous trials where event rates have been
18 somewhere between 25 and 40, level 2 and level 3
19 event rates per year, we know that in our trial,
20 those events rates were about 50 percent of that,
21 about half as much. And I think that's important
22 to contextualize, to put it into absolute terms.

1 We have been talking about the relative risk
2 between two insulins, insulin icodec and degludec,
3 but if you look at the absolute rates of
4 hypoglycemia, you're quite right; these are low in
5 ONWARDS 6.

6 DR. GREEVY: Thank you.

7 DR. LOW WANG: I would like to find out
8 whether or not the FDA would like to respond to
9 that question as well.

10 DR. ARCHDEACON: Thank you, Dr. Low Wang.
11 This is Patrick Archdeacon. I think we would
12 mostly emphasize the challenges with doing
13 cross-study comparisons, so I think we're mostly
14 focused on the trial data that we actually have in
15 front of us.

16 DR. LOW WANG: Okay. Thank you.

17 Next, Dr. Beringer?

18 DR. BERINGER: Yes. Paul Beringer. I just
19 have a clarifying question about the risk
20 mitigation strategies that were proposed. The
21 analysis showed what effect each of these
22 individually would have, but it appears that it is

1 proposing that all these be used, or are they
2 supposed to be determined on a patient level, one
3 strategy versus another? What's actually being
4 proposed?

5 DR. GOUGH: I think what's important here is
6 individualization of care that my clinical experts
7 have previously talked about. When we look at
8 patients with type 1 diabetes on basal bolus, there
9 are many things that a healthcare professional and
10 the patient can do to improve glycemic control and
11 reduce the risk of hypoglycemia. So in terms of
12 our mitigation strategies, this is a collection of
13 activities that most -- in fact, all -- healthcare
14 professionals managing type 1 diabetes are familiar
15 with, is the appropriate selection of patients, and
16 certainly with insulin icodec, we would not
17 recommend this for patients with hypoglycemic
18 unawareness or severe recurrent hypoglycemia.

19 We would like to communicate the increased
20 risk of hypoglycemia on days 2 to 4 after a weekly
21 injection in some people, so those patients who are
22 experiencing difficulties with hypoglycemia,

1 they're aware that on these days that's likely to
2 be the greatest time that they'll have the problem,
3 and that's when they may well want to choose to
4 make a a reduction in the bolus dose, whether it's
5 an order of magnitude of 30 percent or whatever.

6 I think all of these things -- reassessing
7 thresholds, whether the insulin regimen is right,
8 whether it's the right insulin -- there are so many
9 things that a healthcare professional considers on
10 a regular basis, and we're advocating that this
11 should be part of that. And maybe to add some
12 further insights on that, I could call upon my
13 clinical expert just to place what we're saying
14 into context.

15 DR. LINGVAY: Well, thank you. Ilda
16 Lingvay. I appreciate your question because these
17 models are done at a study level and do not really
18 apply to the individual patient in the study,
19 especially in the setting of type 1 diabetes. The
20 customization of therapy to the individual, it's
21 true for every patient. Every patient is different
22 in type 1 diabetes. Every patient with type 1

1 diabetes is different, and we really have a custom
2 plan for every patient. So that's why when a study
3 level adjustment is recommended, it's meant to work
4 for some people, for the average person, but not
5 for the individual person.

6 So to answer your question, based on the
7 ONWARDS 6 study, what I foresee happening in
8 clinical practice, the majority of patients will
9 probably not need any adjustments. They did well
10 in the study, they had target time below range,
11 they had target time in range. Probably more than
12 half of the patients will not need any adjustments.

13 Those other ones who are at higher risk of
14 hypo or turn out that they start on this medication
15 and they start having some more hypos than they had
16 before, the individualization of therapy is going
17 to occur, and it's not going to be one prescribed
18 individualization or the other; it's going to be a
19 trial and error of combination of interventions
20 that we're already used to in clinical care.

21 We do this with every patient right now.
22 The same treatment doesn't work the same way for

1 every patient with type 1 diabetes, so we already
2 are accustomed to that, we're already doing that,
3 and we will do that in the setting of icodec use as
4 well. We will individualize the treatment. We
5 will apply a reduction in bolus if that's
6 appropriate for the patient or some other
7 mitigation strategy that we think is best for that
8 one individual.

9 DR. BERINGER: Great. Thank you.

10 DR. LOW WANG: Great. Thank you.

11 Dr. Brittain?

12 DR. BRITTAIN: Yes. Thank you. So I wanted
13 to go back a little bit to the question about the
14 subgroup with the coefficient of variation less
15 than 36. I know Dr. Nason asked some questions
16 early on about it not being a baseline determined
17 subgroup and the FDA made some comments along those
18 lines.

19 I'm not totally sure what your final answer
20 to Dr. Nason was. Are you able to use any
21 baseline, true baseline, data to determine those
22 subgroups?

1 DR. GOUGH: Yes. Thank you, and I
2 appreciate that this question was asked this
3 morning, and I do have some further information
4 that I'd like to add in this regard.

5 So as you say, there was concern expressed
6 about the use of post-baseline coefficient
7 variation or glycemic variability data as compared
8 to true baseline pretreatment values. We showed
9 the phase 1 study, which demonstrated that the mean
10 glycemic variation was stable pre- and post-
11 treatment, but you appropriately asked about
12 individual participant data.

13 If I can just put the next slide up, what
14 you can see is this slide shows individual
15 participant data from the same study. The Y-axis
16 shows glycemic variation during the insulin
17 glargine treatment period and the Y-axis [sic]
18 shows glycemic variation during the first 14 days
19 of the subsequent insulin icodec treatment period,
20 with the line of unity also shown. And as you can
21 see, there's a strong correlation in the continuous
22 glucose monitoring glycemic variability between pre

1 and during treatment with insulin icodec. So we're
2 confident that the glycemic variability data from
3 week 0 to 2 from ONWARDS 6 largely represents
4 patients' baseline glycemic variability.

5 DR. BRITTAIN: Okay. But apparently you
6 don't have data on everybody, just a small subset.

7 DR. GOUGH: Well, this isn't a subset of the
8 phase 3 study; this is a specific study, phase 1.

9 DR. BRITTAIN: Oh, I'm sorry. I'm sorry.
10 Okay. Thank you.

11 DR. GOUGH: Thank you.

12 DR. LOW WANG: Okay. Terrific.

13 So if there are no more clarifying questions
14 from the panel, we'll take a short break. But to
15 make sure that we have enough time for our
16 discussion and voting, I'd like to reconvene in
17 10 minutes. So that would be at 2:22, so we'll see
18 you back in 10 minutes.

19 (Whereupon, at 2:12 p.m., a recess was taken,
20 and meeting resumed at 2:22 p.m.)

21 **Questions to the Committee and Discussion**

22 DR. LOW WANG: Welcome back.

1 The committee will now turn its attention to
2 address the task at hand, the careful consideration
3 of the data before the committee, as well as the
4 public comments. We will now proceed with the
5 questions to the committee and the panel
6 discussions, and I'd like to remind public
7 observers that while this meeting is open for
8 public observation, public attendees may not
9 participate, except at the specific request of the
10 panel.

11 Let me give you an outline of our schedule.
12 It's about 2:23 right now, and we have four
13 discussion questions before the one voting
14 question. So we'll have approximately a little
15 over an hour for the discussion questions. So no
16 later than approximately 3:30 or 3:35, we'll take
17 the time necessary for the voting question and the
18 vote, and ask every voting member of the panel to
19 explain their vote. If this plan meets with your
20 approval, I'll read the first question. After I
21 read the question, we'll pause for any questions or
22 comments concerning the wording of the question.

1 We'll proceed with our first question, which
2 is a discussion question for the panel. The
3 question is, discuss the benefits of insulin icodec
4 and the risk of hypoglycemia in adults with type 1
5 diabetes mellitus.

6 Are there any questions about the wording of
7 this first discussion question? Go ahead.

8 Dr. Greevy

9 DR. GREEVY: This is Robert Greevy. I just
10 wanted to ask if we needed to tease out benefits,
11 if that needed to be more clearly defined into how
12 broad that term should be.

13 DR. LOW WANG: I'll let the FDA respond to
14 that, but I think in terms of my thoughts on this,
15 I think this is how you see the benefits, or
16 potential benefits, for insulin icodec, and we'll
17 be addressing various aspects of risk versus
18 benefit profile through the course of our
19 discussion and the voting question. But FDA, would
20 you like to expand further?

21 DR. ARCHDEACON: Hi. This is Patrick
22 Archdeacon. No, we'd endorse that position,

1 Dr. Low Wang. I think at this point, we're
2 interested in the opinions and the perspectives of
3 the panel members.

4 DR. GREEVY: Thank you.

5 DR. LOW WANG: Thanks.

6 Dr. Brittain?

7 DR. BRITTAIN: Maybe it's the same question.

8 I guess when I read this, I don't know if it's
9 about the benefits that are seen in the data set or
10 just any theoretical benefits.

11 DR. LOW WANG: I do think all of that may
12 come up during our discussion, so I think
13 we'll -- does that help? Okay.

14 DR. BRITTAIN: That's fine.

15 DR. LOW WANG: Awesome. Great. So then in
16 that case, maybe we should go ahead and start. Who
17 would like to start?

18 Mr. Tibbits, go ahead.

19 MR. TIBBITS: Sorry for the change in
20 appearance. I'm getting a little cold, so I put a
21 sweatshirt on. Sorry. Paul Tibbits.

22 Maybe I'm not the right one to start, but

1 I'll start anyway. I think as a person with
2 diabetes or people with diabetes, what we're really
3 looking for, as I think one of the public
4 presenters noted -- there's potentially 42
5 different aspects of daily life that might impact
6 your blood sugar, so one of the things, certainly,
7 that I'm looking for and probably a lot of us are
8 looking for is consistency on a daily basis.

9 There are certainly going to be factors in
10 life that we can't control, so to the extent that
11 we can't control them, I think so much the better.
12 I think, overall, there seems to be a strong
13 argument that there are benefits for insulin
14 icodec -- and again, just in type 1; I'm not
15 talking about type 2, that there are certain
16 benefits for what I would argue is potentially a
17 small group of the type 1 community for whatever
18 reason, whether they're in certain care settings,
19 or they have a fear of needles, and what have you.

20 On the other hand, I'm not convinced that
21 this is providing any sort of daily regularity,
22 which to some degree it seems like the applicant is

1 suggesting that it does. I would argue that in
2 certain days, it might actually be introducing
3 additional variables that would need to be included
4 in a patient's life, which would make it
5 potentially harder in terms of a day-to-day
6 calculation and day-to-day consistency and
7 regularity.

8 So I don't know, to me at least, that the
9 benefits so clearly outweigh the risks, certainly
10 for the broad population. I think if we start
11 thinking about label constriction, label
12 restriction, and who this might be applicable for,
13 then my position might change, but very broadly, I
14 think right now it does seem to be -- at least in
15 my mind, there is some amount of risk that I think
16 we need to account for as we think about who this
17 might ultimately benefit.

18 DR. LOW WANG: Thank you for those comments.

19 Dr. Onumah?

20 DR. ONUMAH: I think that we've seen both
21 sides of the discussion in terms of benefits and
22 risks, but I'm going to be commenting as a

1 clinician in practice and always looking at my job
2 as a clinician in terms of finding the right tool
3 for each individual patient that I see. In this
4 instance, when I think of benefits for insulin
5 icodec, I think that it will not be beneficial for
6 every person, but it might be useful for some
7 persons.

8 Certainly, in my mind, there are still a few
9 risk mitigation things that need to be ironed out
10 clearly so that for the general provider or
11 practitioner who would be writing this
12 prescription, should it be approved, we will be
13 doing it correctly and safely because safety would
14 be very important. But I think it would offer
15 benefits. It would be a tool that would be helpful
16 to be added to the toolbox for the clinician to be
17 able to reach for, for the right person.

18 DR. LOW WANG: Perfect. Thank you.

19 Dr. Kalyani?

20 DR. KALYANI: Thanks. Rita Kalyani. When I
21 approach benefits, I think there are theoretical
22 benefits. So clearly having a new treatment

1 modality, which would be once-weekly insulin,
2 offers a theoretical benefit of reducing treatment
3 burden, simplification of regimens perhaps for
4 those who have a fear of injections, facilitating
5 them to take insulin. So there are definitely many
6 theoretical benefits.

7 When we look at the benefits that were
8 demonstrated in ONWARDS 6, I think we do see that
9 some of them have held true. We see the A1c
10 lowering efficacy. We see that, while the
11 satisfaction survey had its limitations, overall
12 there was in both arms a favorable signal.

13 I do think that the question of general
14 benefit versus targeted benefit for some
15 populations will help guide our discussion because
16 it could help guide the responsible use of this
17 medication, which is what I think we're all talking
18 about here today, and that gets to the risk of
19 hypoglycemia, which was clearly demonstrated and we
20 know is a risk for any insulin that we currently
21 have available. I think the question is whether
22 the hypoglycemia can be prevented and identified

1 early with the monitoring strategies we have, such
2 as CGM.

3 I do think it's important to note that in
4 ONWARDS 6, it was not part of the titration
5 schedule and that people titrated on a daily or a
6 every few daily basis like we would in clinical
7 care; instead it was more a weekly schedule, so
8 perhaps more frequent titration may mitigate that
9 hypoglycemia. But I do think that the risk of
10 hypoglycemia is real in some populations and that
11 thinking about the benefit to risk, it's important
12 for us to think about which populations could
13 benefit from this more.

14 But at the end of the day, the question is
15 also, who decides that for the person with
16 diabetes? Is it the preference of the person with
17 diabetes who then decides whether this insulin
18 would facilitate their day-to-day care? And then
19 as a clinician, as was mentioned, too, having more
20 tools that we can personalize for people with
21 diabetes also broadens our ability to really meet
22 the outcomes for people with diabetes. So that's

1 just some of my thinking.

2 DR. LOW WANG: Thank you.

3 Dr. Brittain?

4 DR. BRITTAIN: So again, I agree that there
5 are theoretical benefits and anyone could
6 understand wanting to have fewer injections, and
7 that's very important. But, unfortunately, within
8 the trial data, we don't see the benefit of better
9 glycemic control, which I think was promised in
10 terms of adherence. I understand that that would be
11 hard to show in this clinical trial population, but
12 things kind of go the other way.

13 Likewise, for the treatment satisfaction
14 survey, even though it has its own issues, I'm a
15 little disappointed that given we heard all the
16 anecdotal data that people who were in the trial,
17 who were on the once-weekly treatment, really were
18 sad to not be able to continue with that, I'm sort
19 of surprised that that wasn't borne out in that
20 data, as flawed as it might be, the survey data.

21 I guess the other comment I have is there
22 was some talk that I heard from a number of people

1 that there are different populations that probably
2 would benefit most from this, but they weren't
3 really the populations studied in this trial, and I
4 do wonder if there would be different concerns
5 about hypoglycemia management in these different
6 populations. That's it.

7 DR. LOW WANG: Thank you.

8 Dr. Crandall?

9 DR. CRANDALL: Yes. I think this is
10 really -- I guess always but especially here -- a
11 risk-benefit analysis. We clearly know the risks.
12 They've identified the risks pretty well for us in
13 terms of the hypoglycemia. I think the benefits
14 are largely theoretical, and I'll just state the
15 obvious. Type 1 diabetes has huge treatment
16 burden, and any of us affected by diabetes or
17 treating people with diabetes know that. But in my
18 assessment of the situation, the biggest burden is
19 not related to the single daily injection of basal
20 insulin, it's to the bolus insulin and all the
21 calculations and concerns about matching to
22 carbohydrates, et cetera, that patients have to go

1 through.

2 So the real benefit in terms of reducing
3 treatment burden would be from just removing this
4 one injection a day? I don't know. I've been
5 dubious about that, despite the fact that we've had
6 some testimonials from the public and reported from
7 the trial that seemed to suggest that this is
8 meaningful to people. That's a question mark to me
9 because I think if there isn't a reduction in
10 treatment burden, then I'm not sure that the risk
11 of hypoglycemia is worth it.

12 I think probably zooming in on or trying to
13 identify the population or what segment of the
14 population with type 1 diabetes might benefit from
15 it, I think it would be very useful, but we don't
16 have very much to go on from the trial data that
17 they provided us because of the very restricted
18 nature of the people who enrolled in a clinical
19 trial; for example, a population that's been
20 frequently cited as patients with very poor
21 medication taking habits who frequently end up in
22 the hospital in DKA because of lack of insulin.

1 That's attractive to think that this might
2 be helpful for them, but we're not really sure
3 about that, and in many cases, these are the same
4 people who wouldn't be using a CGM or may be doing
5 or having other risky behavior that would actually
6 make it more risky for them to be using this
7 insulin. So I think there are a lot of questions
8 in my mind about the benefit end of this, whereas
9 the risk I think has been pretty well spelled out.

10 DR. LOW WANG: Thank you for those comments.

11 Mr. Tibbits?

12 MR. TIBBITS: Thank you. Paul Tibbits. I
13 think the other piece of it, and I think it touches
14 a lot on Dr. Crandall's comments, is how does this
15 translate into the real world? I know this is
16 probably a question you all wrestle with as
17 committee members with all clinical trials, but for
18 me as a person with diabetes, I think about, as
19 somebody said, degludec is the platinum standard
20 for long-acting insulin, for basal insulin.

21 I assume that a lot of you folks are the
22 platinum standards for endocrinologists, so when

1 you think about your run-of-the-mill
2 endocrinologists, or even patients that don't see
3 endocrinologists, they see general practitioners,
4 what is the ability for the patients who this is
5 intended for to actually work on a day-to-day,
6 week-to-week basis with their healthcare
7 professional? Because in a clinical trial,
8 certainly you can work with your clinical team on a
9 day-to-day, week-to-week basis, but I don't know
10 that that reality is borne out for most people with
11 type 1 diabetes.

12 So I think I do still have a lot of open
13 questions about the risk mitigation strategies. Do
14 we live in a world, once you apply the real-world
15 restrictions, including insurance and whatnot, do
16 we actually live in a world where these risk
17 mitigation strategies are readily available to the
18 people who would be receiving this insulin?

19 DR. LOW WANG: Yes. Thank you for those
20 comments, and I would agree that I think that's
21 always a question that we struggle with, is how do
22 we translate these clinical trial data to the real

1 world? My experience is that oftentimes patients
2 who have recurrent DKA because of lack of insulin
3 also tend to be patients who are over basaled [ph],
4 have higher doses of basal insulin in order to
5 reduce numbers of injections of bolus insulin,
6 which may place them at higher risk for
7 hypoglycemia.

8 So I'd like to make a few comments before we
9 move on to the raised hands. As member and chair
10 of the panel, I really appreciate the comments made
11 by the committee so far, and in considering this
12 question, the main potential benefit is the lower
13 number of insulin injections, reduced burden of
14 treatment, improved satisfaction, improved
15 adherence. And the only factor of those that's
16 really been demonstrated to be improved with
17 insulin icodec is the number of insulin injections.

18 So I'm concerned about the increased risk
19 for hypoglycemia that was seen in ONWARDS 6 for
20 patients with type 1, not just in the incidence and
21 rate of the hypoglycemia, but also the higher
22 number of serious adverse events due to

1 hypoglycemia associated with loss of consciousness
2 and causing people to need to be hospitalized,
3 emergency room visits, and all of those are
4 anticipated to impact quality of life negatively.

5 Now, Dr. Kalyani?

6 DR. KALYANI: Thanks. I just wanted to add,
7 in regards to the benefits, the variability that we
8 saw with the pharmacodynamics, I don't know that
9 that's an added benefit necessarily because the
10 glucose variation we see already in people with
11 type 1 diabetes, that variability of the medication
12 itself now in terms of having a peak effect with
13 days 2 to 4 and then wearing off later in the week,
14 where we might actually see higher blood glucose,
15 as we saw, when we look at those time in ranges,
16 and there was not only more variability, but
17 there's also more highs as well near the end of the
18 week with the insulin icodec; that variability also
19 introduces more uncertainty regarding glycemc
20 control.

21 That being said, I do think that for some
22 people for whom they, like we said, may be

1 reluctant to take injections daily, this could be
2 an option to maybe even transition to the ideal or
3 the gold standard that we've often talked about,
4 which is the basal bolus regimen with the tighter
5 control with the daily basal injection, and perhaps
6 it could keep people who otherwise we see in the
7 hospital out of ketoacidosis.

8 I do think that one of the things we should
9 keep in mind is that in terms of approval, A1c
10 lowering benefit has been the surrogate that we
11 have used for diabetes medications to assess its
12 ability to potentially produce long-term risk of
13 complications, and I don't know that we are
14 necessarily charged with saying that this is better
15 than what we have currently out there, but is it
16 sufficient as an option for people who would want
17 to use it?

18 When I think of who might want to use it,
19 for someone who's tightly managed, is at their A1c
20 target, on a daily basal insulin with multiple
21 bolus injections a day, I don't know that they'd
22 want to switch to this necessarily. But perhaps

1 the person who's early in their disease, who may be
2 reluctant to go straight to the 4 injections a day;
3 those who have an unpredictable schedule; those
4 who, for whatever reason, feel better about taking
5 once-weekly injections instead of a daily
6 injection; and those who are not at their
7 targets -- maybe they're more like an A1c of 8 or
8 9, perhaps not over 10 because then I think we run
9 the risk DKA -- perhaps they would be the ones that
10 would benefit from this.

11 But I do think when we think of benefit,
12 perhaps it doesn't need to be a comparative
13 benefit, but more of an absolute benefit; is there
14 a benefit for some people out there with type 1
15 diabetes to take this medication, and if so, should
16 this be approved?

17 DR. LOW WANG: Thank you.

18 I'd like to wrap up this discussion question
19 with Dr. Onumah's comments, so go ahead,
20 Dr. Onumah.

21 DR. ONUMAH: Thank you. Barbara Onumah. I
22 think Dr. Kalyani brought up some of the points

1 that I was going to raise, but to bring up a point
2 that Dr. Crandall had mentioned before, I think we
3 have some data, although not exactly the same,
4 comparing once-daily injections to once weekly, and
5 that's with the GLPs, and there's some data showing
6 that there's increased adherence when we change
7 from once-daily to once-weekly injections. So to
8 that, in terms of benefit, if we could extrapolate
9 that, we could say that there's potential that when
10 we offer patients with diabetes once weekly versus
11 once daily, there's a chance that it would increase
12 the adherence, even though we don't know that for a
13 fact, but we can extrapolate from that data.

14 Again, we've already discussed that this is
15 not going to be for everybody, but for some
16 patients, this might be the best option. In fact,
17 we do that clinically when we actually have relaxed
18 targets for persons who are a little bit difficult
19 to control for various reasons, whether it's
20 because they don't have access to medications or
21 various reasons that affect the adherence, and we
22 accept when they have not the ideal A1c but an A1c

1 that would be acceptable and get them not into
2 trouble or get them to not have recurrent hospital
3 admissions.

4 So the risk of hypoglycemia is real, and we
5 have to have real factors to mitigate those risks,
6 but the benefit would be for those persons having
7 less injections and maybe making it a little bit
8 more easier for them to take this option of
9 insulin. Thank you.

10 DR. LOW WANG: Great. Thank you so much.

11 So I'd like to wrap up the discussion of
12 this particular question, and we have a few more to
13 go, so let me summarize our discussion. If you
14 strongly disagree with my summary, please let me
15 know.

16 So what I heard is that panel members
17 commented on the importance of adding more tools to
18 our toolbox for our patients. There's a
19 hypothetical benefit of reducing treatment burden
20 and improving medication adherence, but this is, of
21 course, balanced with the risk of hypoglycemia and
22 the ability of the proposed risk mitigation

1 strategies to reduce this risk, as well as
2 introducing more variability due to the differences
3 in pharmacokinetics over the course of the week.

4 There is a higher number of SAEs due to
5 hypoglycemia leading to hospitalization or ER
6 visits and associated with loss of consciousness
7 with icodec, but another point was made that many
8 patients are looking for consistency, so insulin
9 icodec may provide benefits to a specific group of
10 patients with type 1, but also introduces variables
11 that might make it harder to have consistent
12 glucose control on a day-to-day basis. So there
13 are questions about, well, who decides this and who
14 would benefit the most from insulin icodec, and how
15 do we translate these clinical trial data to the
16 real world?

17 Any comments that panel members would like
18 to add?

19 (No response.)

20 DR. LOW WANG: If not, let's move on to
21 question 2, which is also a discussion question.
22 This is also a discussion question, our second out

1 of four. The question is to please discuss the
2 role of continuous glucose monitoring devices and
3 measures of glycemic variability with respect to
4 the risk of hypoglycemia in patients with type 1
5 diabetes using insulin icodec.

6 First, are there any specific questions
7 about the wording of this question?

8 (No response.)

9 DR. LOW WANG: If there are no questions
10 about the wording, we'll open the question to
11 discussion. And maybe as we're waiting for panel
12 members to raise their hands, I'll start with a few
13 comments.

14 We know that CGM has really revolutionized
15 the care of diabetes and is recommended for all
16 patients with type 1 by several professional
17 societies. There are still problems with access
18 for many patients, so this is still an issue that
19 we need to deal with and resolve. We know that CGM
20 also detects a lot more episodes of hypoglycemia
21 than self-monitoring of blood glucose.

22 I think the data that was shown today

1 support the utility and probably the necessity of
2 using CGM because of this increased risk of
3 hypoglycemia that was noted in ONWARDS 6 with
4 insulin icodec, and the analyses by both the
5 applicant, as well as the FDA, do show that those
6 patients with higher versus lower glycemc
7 variability may have marked reduction in the number
8 of episodes, as well as the rates of hypoglycemia
9 on par with what was seen in the comparator arm
10 with insulin degludec.

11 So even though we didn't have baseline
12 coefficient of variability data for ONWARDS 6, the
13 data that were shown seemed to demonstrate relative
14 stability of the coefficient of variability over
15 time that supports the idea that the post-baseline
16 CV value might be similar to the baseline value.
17 So I do think that the use of CGM and the use of
18 baseline CV to try to risk stratify patients would
19 go a long way toward mitigating the risk of
20 hypoglycemia with insulin icodec, but what would
21 happen with using an actual baseline CV value, we
22 don't have those data.

1 Now I'd like to call on Dr. Nason.

2 DR. NASON: Thanks. Martha Nason again.
3 I've been sort of struggling with the CV question
4 because as I raised before, and as the FDA raised,
5 and Dr. Brittain mentioned also, the fact that it
6 isn't baseline data, it's not ideal, and it wasn't
7 prespecified as well, but probably it does make
8 some sense in terms of identifying who's at lowest
9 risk for hypoglycemia.

10 It also doesn't necessarily mean that those
11 are the people who can benefit most because even in
12 that subgroup, even though it looked like they were
13 at substantially lower risk of hypoglycemia than
14 the people with a higher CV, there was still a
15 relative risk of two that they would have -- I
16 can't remember if it was based on one or more
17 hypoglycemic episodes or the total rate, but it was
18 still a fairly large relative increase in the rate
19 of hypoglycemia among those who are taking the
20 weekly dose compared to the daily.

21 So I think it certainly is important and it
22 needs to be explored more, but I don't know that I

1 feel that we have the information yet about how to
2 use that data, or even if baseline turns out to be
3 the same as weeks 1 and 2, that that targeting
4 necessarily means that those people are the ones
5 who would benefit the most and that this is a good
6 risk-benefit trade off for those people.

7 DR. LOW WANG: No, that's a really important
8 point. Thanks for making that.

9 Dr. Kalyani?

10 DR. KALYANI: Thanks. Rita Kalyani. The
11 role of continuous glucose monitoring, I agree that
12 CGM, while widely recommended for people with
13 type 1 diabetes, continues to be not equally
14 accessible to all people with type 1 diabetes. So
15 I think that access and coverage of CGM has to be
16 taken into account, but clearly using CGM and
17 having the availability of the functionality of
18 trend arrows, of seeing patterns throughout the
19 week, throughout the day, all of those things can
20 help reassure the person with diabetes and their
21 provider about safely using medications for
22 diabetes, including insulin icodec given the PK

1 variability that we talked about. So I do think
2 that CGM as an adjunctive treatment can be very
3 helpful.

4 In regards to the risk of hypoglycemia in
5 people with diabetes, type 1 diabetes, I think that
6 the glycemic variability we talked about with the
7 CV, I think it's important to think about absolute
8 risk versus relative risk. And as Dr. Mason
9 mentioned, even those with the percent CV that were
10 at the lowest tier, they still had a higher
11 relative risk compared to insulin degludec, but
12 their absolute risk was lower. So I think we need
13 to think about those two terms as well.

14 DR. LOW WANG: Thank you.

15 Dr. Greevy?

16 DR. GREEVY: This is Robert Greevy. There
17 are two things I wanted to comment on that. The
18 first, as was just mentioned, it does feel like
19 there's some data that may be available that would
20 be very helpful for us to know in that the data's
21 pretty strong that the hypoglycemia risk relative
22 to degludec is about 1.4 to 2 times greater, but

1 what I don't know is whether that in absolute sense
2 is a high risk of hypoglycemia relative to people
3 getting treated.

4 I mean, if degludec is just far and away the
5 standard of care and it makes sense to use that as
6 a comparison, then I'll take that as that relative
7 risk is much more influential to me than if
8 degludec is one of many treatments being commonly
9 used and I need to think about comparison to the
10 class as opposed to comparison to one particular
11 drug.

12 So there is some information I feel like I'm
13 lacking, specifically with regard to CGM. There
14 may be information in the data, in the ONWARDS 6
15 trial, that could speak to the CGM risk because as
16 was noted, it was important that this roughly
17 doubling of the risk appeared in every subgroup
18 analysis, so for any baseline risk that we
19 construct, it appeared that icodec about doubled
20 the risk.

21 The question remains, then, can CGM prevent
22 hypoglycemic events? There may be information in

1 the data if we actually see people doing that
2 because some of the people were on CGM and some
3 weren't, and we know when they had their
4 hypoglycemic events, and I should specify severe
5 hypoglycemic events. So do we see that those who
6 are on CGM are much less likely to have severe
7 hypoglycemic events?

8 DR. LOW WANG: Thank you, Dr. Greevy. I do
9 believe that all of the patients in ONWARDS 6 were
10 undergoing CGM monitoring.

11 DR. GREEVY: Oh, okay. So it would only be
12 if it was mixed in prior trials that we'd have to
13 uncover it there.

14 DR. LOW WANG: Yes.

15 DR. GREEVY: Thank you.

16 DR. LOW WANG: But thank you for your
17 comments; those are really important points.

18 Mr. Tibbits?

19 MR. TIBBITS: Yes. I think my read on the
20 material that we saw was where we really saw the
21 risk of hypoglycemia, where it seemed to be
22 eliminated the most vis a vis degludec -- so not

1 eliminated totally but at least on par with
2 degludec -- was the intersection of CGM and low
3 glycemic variability. So I think as I'm thinking
4 about this, I'm thinking about ways to make sure
5 that this is getting to the people that need it but
6 also not maybe getting to people who don't have the
7 right constitution or the right access to be able
8 to use it without danger.

9 I do want to go on the record to say that
10 knowing that there are significant access issues to
11 CGM, and then, potentially if this were to get
12 approved, maybe significant access issues to this,
13 if we were to say -- again, if FDA were to say
14 we're going to restrict this to people that are on
15 CGMs, then we're sort of doubling the access
16 obstacles. So I think, morally, I feel a little
17 concerned about saying, "Hey, there's an innovative
18 treatment that people should have access to, but
19 you might have access issues, and the only way to
20 access it is to access this other mechanism which
21 you're going to have access issues for."

22 So I do want to recognize that we may be

1 building access upon access obstacles if we go that
2 route, but on the other hand, the data, at least to
3 me, did seem to indicate that this intersection of
4 CGM and low glycemic variability is really where we
5 saw the most commonality or the most equality
6 between severe hypoglycemia in the arms.

7 DR. LOW WANG: Thank you.

8 Dr. Onumah?

9 DR. ONUMAH: Hi. Barbara Onumah. I just
10 have a quick comment in regards to the discussion
11 question. I think it's pretty standard that we
12 know that CGMs have revolutionized the way we
13 manage persons with diabetes, and as it pertains to
14 the question at hand, from the data we have just
15 reviewed, the lower the glycemic variability, the
16 lower the chance of having hypoglycemia. But in
17 the data, it didn't really matter whether you had a
18 CV less than 36 or not; it appeared that icodec was
19 associated with more hypoglycemia compared to
20 degludec. So I think that should be understood by
21 persons who would be using this if this were
22 approved, and we just need to have that

1 understanding.

2 So in regards to whether or not CGM should
3 be used with this insulin, absolutely because it
4 has revolutionized the management of diabetes, but
5 in regards to whether or not glycemic variability
6 affects hypoglycemia, the data suggests that even
7 if you have a lower glycemic variability, it
8 appears that you still have more hypoglycemia with
9 icodec.

10 DR. LOW WANG: Great. Thank you.

11 Dr. Kalyani?

12 DR. KALYANI: Rita Kalyani. There were a
13 few questions that came up about how clinically
14 accessible is the percent CV. While it's one of
15 the metrics that comes out on ambulatory glucose
16 printout, I would say that it would be something
17 that would be more difficult for someone in
18 clinical practice, I would think, to have to
19 constantly look at that number and then say, "Well,
20 is this person really variable and not really
21 variable?" Is this something that we would think
22 about?

1 I would think about this more as a general
2 concept, someone who has more variability versus
3 less variability of the blood glucose, and if they
4 don't have access to CGM, as we talked about,
5 self-monitoring of plasma glucose was shown as an
6 option. And for the motivated patient who can do
7 the multiple finger sticks per day, I think that
8 would be a great option.

9 I think the question is for the person who
10 may not have access to CGM, who doesn't do the
11 multiple monitoring with the self-monitor plasma
12 glucose, is this still something that we would feel
13 reassured we could mitigate the risk of
14 hypoglycemia? I realize the question focuses
15 specifically on CGM that we're asked to discuss,
16 but I think it's important to keep in mind that if
17 someone doesn't have a CGM, will they be
18 self-monitoring; and if they're not
19 self-monitoring, can they take this medication?
20 And I think the answer would be no.

21 So there would have to be some kind of
22 monitoring, but for those who don't have CGM for

1 whatever reason, could you do self-monitor plasma
2 glucose, I think that would still be an option; but
3 then we'd have to rethink how we're defining this
4 variability, and perhaps it's by standard deviation
5 like we talked about, which is something that we
6 would be more likely to see in one of those
7 printouts.

8 DR. LOW WANG: Great. Thank you.

9 Dr. Crandall?

10 DR. CRANDALL: Yes. I agree that there's
11 certainly justification for requiring or expecting
12 that anyone using this insulin would be also using
13 continuous glucose monitoring -- I almost think
14 that's sort of a no-brainer if there was access to
15 it -- but I'm struggling, I think a little bit as
16 Mr. Tibbits is doing, with trying to understand who
17 the target population for this insulin would be, in
18 that in many cases, the people with the greatest
19 glycemic variability are people who are not
20 monitoring regularly, who are not able to adhere to
21 a complicated dosing schedule for their bolus
22 insulins. And the people who have a lot of

1 glycemic variability and are not that consistent
2 with taking their insulin are the ones who might
3 benefit for long-acting insulin to prevent episodes
4 of DKA and ending up in the hospital.

5 So I kind of go around in circles. The
6 people who might benefit the most from the
7 adherence aspect of once-weekly insulin are
8 probably the people who may not be able to use it
9 safely.

10 DR. LOW WANG: Thank you. Those are all
11 important comments.

12 Are there any other comments from the panel
13 on this discussion question?

14 (No response.)

15 DR. LOW WANG: So if there are no further
16 questions or comments about this discussion
17 question, I'd like to summarize. If you strongly
18 disagree, please let me know. There were a number
19 of issues discussed. I think what was mentioned is
20 that although CGM is recommended for all patients
21 with type 1 diabetes, access and coverage are still
22 unresolved issues, and it does appear that to

1 mitigate the risk of hypoglycemia with insulin
2 icodec, CGM is needed.

3 One thing I would like to point out is that
4 in ONWARDS 6, all of the patients underwent
5 continuous glucose monitoring through the duration
6 of the trial and were asked to self-monitor 4 times
7 a day. So what we're seeing in terms of the
8 differences in risk of hypoglycemia, the rates of
9 hypoglycemia were in the setting of CGM. So then,
10 the question was raised, if this is the case, then,
11 would this double the problems of lack of access in
12 patients who need insulin icodec who may not be
13 able to access this or CGM?

14 There were also problems expressed regarding
15 the extrapolating recommendations and the risk
16 mitigation strategies from the CV data. We don't
17 have CV data at baseline. We also don't know if
18 the patients with the lower CV are the same as
19 those who would benefit the most from insulin
20 icodec. And it was noted that there is a higher
21 rate of hypoglycemia even in that lower CV subgroup
22 as compared with degludec, and we're lacking

1 information about risk mitigation strategies and
2 how effective they are.

3 Does anyone have any strong comments about
4 this or would like to add anything?

5 (No response.)

6 DR. LOW WANG: Okay.

7 So then, let's move on to question 3, which
8 is also a discussion question, so please bring up
9 slide 4. Question 3 is also a discussion question,
10 so I'll read the question and then see if there are
11 any questions about the wording. Please discuss
12 the proposed dosing and titration regimen and the
13 extent to which the modeling data support
14 alternative dosing strategies.

15 Are there any questions about the wording of
16 this question? I see a hand up for Dr. Crandall,
17 but I'm not sure if that's left over from the
18 previous discussion.

19 DR. CRANDALL: I just took it down.

20 DR. LOW WANG: Okay, great. Thanks.

21 So if there are no questions or comments
22 about the wording of this question, I'd like to

1 open this question to discussion.

2 So while we're waiting for people to raise
3 their hands, maybe I'll start. I really appreciate
4 the studies conducted by both the applicant and the
5 modeling that was done by the applicant and the FDA
6 to help us understand potential risk mitigation
7 strategies while maintaining efficacy.

8 I would say that because this trial was
9 done, everyone was on CGM, and then we had this
10 dosing and titration strategy, we still see this
11 difference in risk of hypoglycemia. So I think
12 that, actually, the initial dosing and titration
13 strategy doesn't seem to be adequate to bring the
14 number and rate of hypoglycemia down to what was
15 observed with the once-daily basal, but the
16 modeling does seem to support the idea that
17 reducing bolus insulin doses on days 2 through 4
18 would be helpful to mitigate risk. It complicates
19 insulin dosing a lot and would be anticipated to
20 increase medication errors, and this is in addition
21 to changes in bolus insulin dosing that patients
22 have to make any way for changes in activity and

1 illness, weight changes, other factors, so I'm
2 concerned about that.

3 But I would like to move on to Dr. Beringer.
4 Go ahead.

5 DR. BERINGER: Yes. I think I stated
6 earlier the modeling, I think, predicted well the
7 fasted glucose at week 26 and the amount of glucose
8 control that was there. I think when they applied
9 it to look at alternative dosing regimens, I think
10 it clearly did show a substantial reduction in the
11 amount of hypoglycemia by about 40 percent by
12 reducing the the bolus insulin.

13 So I think the modeling assisted in finding
14 a strategy that's been successful in reducing
15 hypoglycemia, but I would agree, as others have
16 stated, that the main benefit of this therapy is
17 going once a week from once a day. It's a
18 convenience thing that makes the regimen less
19 complicated, but if you now have to monitor on
20 days 2 through 4 and make adjustments to your bolus
21 regimen, that's a trade-off in terms of the
22 complication for the regimen, so it may not be as

1 convenient for the user in that regard.

2 DR. LOW WANG: Perfect. Thanks.

3 Mr. Tibbits?

4 MR. TIBBITS: Paul Tibbits. I think I have
5 a version of exactly what Dr. Beringer said, which
6 it does concern me a little bit that the FDA
7 specifically recommended to the applicant to
8 include in their trial an arm in which icodec was
9 dosed twice a week. When asked why that didn't
10 happen, I think the answer essentially was it would
11 introduce complexity, and we're trying to introduce
12 simplicity.

13 But for these exact reasons that
14 Dr. Beringer just outlined, I think by limiting the
15 trial to once a week, because that is their
16 intended indication, they are in fact potentially
17 including complexity and didn't investigate an
18 avenue, which I would argue if you have to do
19 multiple bolus doses and calculations over 3 days,
20 that might actually be more complex than doing one
21 basal twice a week. So I'm a little uncomfortable
22 with the approach that was taken in terms of

1 deciding not to test the twice-a-week dosing
2 strategy.

3 DR. LOW WANG: Thank you.

4 Dr. Onumah?

5 DR. ONUMAH: Barbara Onumah, a similar
6 comment about the complexity of the modeling and
7 suggested dosing, and also to comment about
8 potential providers who may be prescribing insulin
9 icodec not necessarily being expert persons who
10 manage diabetes, so for the general clinician who
11 may not be an expert in managing diabetes and the
12 confusion that it might create.

13 DR. LOW WANG: Thank you so much for those
14 comments.

15 Dr. Crandall?

16 DR. CRANDALL: Yes. I just want to agree
17 with what was said about the increasing complexity
18 of having to adjust the bolus dosing on certain
19 days of the week. I think it's challenging, to
20 begin with, to come up with accurate bolus dosing
21 for many patients, and I don't see this as a
22 practical -- as was mentioned I guess in the

1 comments from investigators in the trial, some
2 patients just kind of naturally did this, and those
3 are the patients who are very comfortable, and
4 familiar, and motivated to take an active role in
5 their management, but that's not every patient.
6 That's not even most patients, at least in my
7 experience, and I think it's a very challenging
8 thing to come up with the right dosing for bolus,
9 and I think this will only make it more
10 complicated. And I also was disappointed or not
11 very satisfied with the response about the
12 twice-weekly dosing for the reasons that were just
13 mentioned.

14 DR. LOW WANG: Thanks.

15 Dr. Kalyani?

16 DR. KALYANI: Thanks. Rita Kalyani. I
17 would say that the theoretical models that
18 demonstrated reducing the dose by 30 percent during
19 days 2 to 5 was most effective, predicted in
20 reducing the hypoglycemic events, and did support
21 that that could be a strategy that's used. It was
22 a modeled approach, so we don't have actual data

1 from the trial that we know that some participants
2 did that on their own.

3 I would say, which I brought up earlier,
4 that the dosing and titration regimen used in the
5 trial was a weekly dosing and titration regimen,
6 and in practice, we would probably titrate the
7 medications at both the basal and the prandial,
8 similar to our other insulins, every 2 to 3 days.
9 So perhaps it wouldn't be adding more complexity,
10 necessarily, compared to the existing insulins, but
11 I do think this idea that on some days you have to
12 decrease the insulin and on some days you have to
13 increase the insulin, that does add some element of
14 complexity and introduce the potential for errors
15 as well.

16 So I think there are both sides; that while
17 the trial did have a titration regimen, that in
18 practice, actually, for the really motivated
19 participant or the person that we're able to see
20 more often, we might recommend a titration regimen
21 that's even every few days, but to have someone
22 always reduce it on a certain day and always

1 increase it on a certain day, it does seem like it
2 could be challenging for some.

3 DR. LOW WANG: Thank you for those comments.

4 Any other comments from the panel?

5 (No response.)

6 DR. LOW WANG: So let me try to summarize
7 our discussion. As with my previous summary,
8 additional comments from the panel members on this
9 discussion summary are appreciated. I think what I
10 heard is that the modeling does help us understand
11 the potential effect of the risk mitigation
12 strategies, specifically reducing the doses of
13 bolus insulin on days 2 through 4, but what was
14 mentioned is that reducing complexity of a
15 treatment regimen by reducing the number of basal
16 insulin doses is balanced by increased complexity
17 of having to change bolus insulin doses on certain
18 days of the week; so are these bolus dosing changes
19 able to be done accurately, and then also having
20 clinicians with less experience advise on this.
21 Then there was also concern expressed about not
22 testing the twice-a-week dosing strategy for

1 insulin icodec.

2 Any other comments to add to that summary?

3 (No response.)

4 DR. LOW WANG: Okay. So if there are no
5 further comments on that, then let's move on to
6 question 4, which is also a discussion question, so
7 slide 5, please. Again, I'll ask for comments or
8 questions on the wording after I read the question.
9 This fourth discussion question is, discuss the
10 role of insulin icodec in the context of the
11 available treatment armamentarium to improve
12 glycemic control in patients with type 1 diabetes.

13 Any questions about the wording?

14 (No response.)

15 DR. LOW WANG: Alright. If there are no
16 questions or comments about the wording, we'll open
17 the question to discussion. I think we may not
18 have heard from all of the members of the panel, so
19 I'd love to hear from you if you haven't spoken
20 yet, in addition to everyone else who's been very
21 engaged. Thank you.

22 We do have raised hands, so Dr. Dutta, go

1 ahead.

2 (No audible response.)

3 DR. LOW WANG: I think you may still be
4 muted.

5 DR. DUTTA: Hello. This is Sandeep Dutta,
6 and I do want to make some comments. I think it's
7 related to both discussion question 1, as well as
8 this one. I do believe that this product provides
9 an incremental innovation and provides a choice and
10 convenience to patients and prescribers. As has
11 been recognized by many today, including the
12 applicant, this is not a product for every patient.
13 I also acknowledge that the specific subpopulation
14 who benefit have not been clearly identified and
15 studied in a randomized manner.

16 Nevertheless, I believe the prescribers and
17 patients will learn to use this product such that
18 they can mitigate the risk of hypoglycemia with
19 appropriate strategies, particularly compared to
20 what was observed in this study, which showed
21 significantly high risk compared to other basal
22 insulin products, and the comparator basal insulin

1 product used has the lowest hyperglycemia risk. So
2 overall, I think the benefit outweighs the risk, so
3 this product would be an important choice for some
4 patients and prescribers. Thank you.

5 DR. LOW WANG: Thank you for those comments.

6 Dr. Drake?

7 (No audible response.)

8 DR. LOW WANG: Sorry. I think you're still
9 muted. Go ahead, Dr. Drake.

10 DR. DRAKE: Well, specifically as it relates
11 to this question, I applaud them for going head to
12 head against insulin degludec. I think that really
13 is considered the gold standard. Insulin glargine,
14 when it came around, it was really titered as a
15 24-hour insulin. I think that it is for some
16 patients, but for many patients, it's so much short
17 of that. It's a particular problem in type 1
18 diabetes, where we actually use it often twice
19 daily to achieve these effects. But that said,
20 insulin degludec really is an insulin that does
21 last for at least 24 hours, so I applaud them for
22 going up against insulin degludec because I think

1 it's a tough challenge.

2 That said, insulin degludec works quite
3 well. We saw the data that was presented today
4 that it's really quite stable across days, and
5 there isn't this day 2 to 4 challenge that will
6 almost certainly come to bite some patients with
7 type 1 diabetes. I do worry about the patients who
8 would really benefit from once-weekly insulin, and
9 there's certainly some who are not fantastic, and
10 we have those in our clinical practice, but those
11 are also the patients who don't regularly monitor.
12 So it would require those patients to monitor more
13 regularly and/or have a concomitant CGM as a
14 qualifier. So to have this medication prescribed
15 is going to be a tall order, I think, for some
16 patients. So it's an interesting molecule, but I'm
17 not sure exactly where it fits in the current
18 armamentarium for patients with type 1 diabetes,
19 specifically.

20 DR. LOW WANG: Thank you for those comments.

21 Dr. Weber?

22 DR. WEBER: Yes. To follow up on

1 Dr. Drake's comments and mostly the discussions,
2 there are a couple of things that I wanted to
3 comment on. One is, we've been talking about
4 hypoglycemia, and certainly unconsciousness and
5 attention and treatment obviously are pretty
6 significant, but I was trying to look globally at
7 what other risks there may be, and I was thinking
8 cardiovascular risk in particular. I don't think
9 we have a lot of data that the risk for
10 cardiovascular outcomes has increased with
11 hypoglycemia in type 1 as it is in type 2 in the
12 ACCORD study, so that's one thing to think about.
13 But where it fits in the whole armamentarium is a
14 good question, and there's clearly a need, and I
15 think it's a risk-benefit trade-off that we're
16 going to look at.

17 One comment on the twice-weekly proposal,
18 which was proposed, I would wonder whether or not,
19 in that sort of approach, there would be a staffing
20 phenomenon as we see with other types of insulins.
21 So perhaps there's a safety issue there as to why
22 that was not pursued, and I'm not sure if that was

1 mentioned, but again, I'm just trying to sort out
2 where things are. This is going to be a therapy
3 that some folks with type 1 diabetes would be very
4 helpful for, but navigating that with a strategy is
5 what's in play.

6 DR. LOW WANG: Thank you.

7 So let me maybe add my comments. I don't
8 see any other raised hands yet. I think the
9 availability of a once-weekly insulin would be an
10 important addition to the armamentarium for type 2
11 diabetes. I think we saw data to show that, even
12 though today's focus is really type 1. I think
13 that it's more questionable in type 1 diabetes
14 because of this added complexity of the therapy,
15 increased risk of hypoglycemia without demonstrated
16 improvement, and adherence or treatment
17 satisfaction, or what the effects are of the
18 proposed risk mitigation strategies outside of the
19 modeling.

20 So we understand that managing diabetes with
21 insulin is incredibly complex, and adding an
22 additional factor of trying to remember what day

1 number it is and what to do with bolus insulin
2 doses just adds to that complexity. So I'm
3 concerned about that, even though, in general, I
4 think it's important to try to add more tools to
5 our toolbox for our patients.

6 Any further comments on this discussion
7 question?

8 (No response.)

9 DR. LOW WANG: So if there are no further
10 comments, then let me summarize our discussion as
11 best I can. I think what was commented on is that
12 insulin icodec is an important addition to our
13 toolbox, but it's not for every patient. I think
14 the panel members plotted the comparison with
15 insulin degludec in the clinical trial rather than
16 a different basal insulin, but noted that it's a
17 challenging insulin to use for our patients with
18 type 1 and not sure where it fits into the
19 armamentarium for type 1. There's the added
20 complexity of the therapy, the increased risk of
21 hypoglycemia, and then no demonstrated improvement
22 in adherence, treatment satisfaction, or the actual

1 effects of the proposed risk mitigation strategies.

2 Any points I've missed there?

3 (No response.)

4 DR. LOW WANG: So we are running a little
5 ahead of schedule, but I think this is great. So
6 if panel members agree, we can proceed to
7 question 5, which is the voting question.

8 Commander LaToya Bonner will provide the
9 instructions for voting.

10 CDR BONNER: Thank you, Dr. Low Wang.

11 LaToya Bonner, DFO. Question 5 is a voting
12 question. Voting members will use the Zoom
13 platform to submit their vote for this meeting. If
14 you are not a voting member, you will be removed to
15 a breakout room while we conduct the vote. After
16 the chairperson reads the voting question into the
17 record and all questions and discussion regarding
18 the wording of the vote question are complete, we
19 will announce that voting will begin.

20 A voting window will appear where you can
21 submit your vote. There will be no discussion
22 during the voting session. You should select the

1 button in the window that corresponds to your vote.
2 Please note that once you click the submit button,
3 you will not be able to change your vote. Once all
4 voting members have selected their vote, I will
5 announce that the vote is closed.

6 Please note that there will be a momentary
7 pause as we tally the vote results and return
8 non-voting members into the meeting room. Next,
9 the vote results will be displayed on the screen.
10 I will read the vote results from the screen into
11 the record. Thereafter, the chairperson will go
12 down the list, and each voting member will state
13 their name and their vote into the record. Voting
14 members should also address any subparts of the
15 voting question, including the rationale of their
16 vote.

17 Are there any questions about the voting
18 process before we begin?

19 (No response.)

20 CDR BONNER: Since there are no further
21 questions, I will hand it back to Dr. Low Wang so
22 we can begin the voting.

1 DR. LOW WANG: Okay. Thank you.

2 Question number 5 is our voting question.

3 Based on the available data, has the applicant
4 demonstrated that the benefits of insulin icodec
5 outweigh its risks for improving glycemic control
6 in adults with type 1 diabetes? If yes, explain
7 your rationale and comment on any risk mitigation
8 measures you believe would be necessary to ensure
9 that the benefits outweigh the risks. If no,
10 explain your rationale and comment on additional
11 data that could be provided to demonstrate that the
12 benefits outweigh the risks.

13 Are there any specific questions? It looks
14 like there's a specific question about the wording
15 of the voting question, so, Mr. Tibbits, go ahead.

16 MR. TIBBITS: Thank you. Paul Tibbits.

17 Dr. Low Wang, I don't know if this is for
18 you and your interpretation of the question or for
19 the FDA, but in thinking about the benefits and
20 risks for adults with type 1 diabetes, is the FDA
21 looking for us to answer in the context of what the
22 label might look like, meaning do we think that

1 this could be a broad label for all of those with
2 type 1 diabetes, or are they looking for a
3 more -- I mean, obviously, a yes/no vote is yes/no,
4 and that can't be nuanced; so just a little bit
5 more guidance about how this might translate into a
6 label and a lot of the discussion we've had about
7 potential mitigation strategies.

8 DR. LOW WANG: I'll start with my answer and
9 then also ask the FDA to weigh in. I think what
10 you vote is important, but I think your rationale
11 for the vote, and risk mitigation measures, and
12 additional data you might like to see are all
13 really important aspects of this vote and kind of
14 the explanation afterwards, and would be
15 informative to the agency, as I understand it.

16 So I'd like to ask the FDA to respond.

17 DR. ARCHDEACON: This is Patrick Archdeacon.
18 I'll, again, endorse what our chair is saying. I
19 think as important as the actual vote tallies are
20 the discussion. I do think what we're asking in
21 this question is for the panelists to tell us
22 whether or not they think this product is

1 approvable in adults with type 1 diabetes; and if
2 so, by what mitigation strategies? That would
3 include comments specifically on labeling that you
4 think is necessary to make the benefit outweigh the
5 risk and/or any other measures that you think would
6 be appropriate outside of labeling.

7 DR. LOW WANG: Mr. Tibbits, did that answer
8 your question?

9 MR. TIBBITS: Yes, that's extremely helpful.
10 Thank you.

11 DR. LOW WANG: Okay. Terrific. Great.
12 Any other questions?

13 (No response.)

14 DR. LOW WANG: Okay. It looks like there
15 are no further questions or comments about the
16 wording of the question, so I'll turn the meeting
17 back over to Commander Bonner so that we can begin
18 the voting on question 5.

19 CDR BONNER: Thank you, Dr. Low Wang.

20 We will now move non-voting participants to
21 the breakout room.

22 (Voting.)

1 CDR BONNER: Voting has closed and is now
2 complete. The voting results will be displayed.

3 (Pause.)

4 CDR BONNER: LaToya Bonner. For voting
5 question 5, 4 yeses, 7 noes, 0 abstentions.

6 DR. LOW WANG: Thank you.

7 Now we'll go down the list and have everyone
8 who voted state their name and vote into the
9 record, and please include the rationale for your
10 vote, and we'll start with Dr. Drake.

11 DR. DRAKE: Okay. Matthew Drake. I voted
12 no. My rationale is that when compared to the
13 current gold standard degludec, it has a good
14 safety profile in my experience, and based on
15 review of the data today, this was an incremental
16 increase. I am also concerned about the potential
17 that this would need to be approved with
18 contingencies, specifically need for CGM, so that
19 makes me nervous. Also, the patients who may be
20 most likely to benefit from this in my clinical
21 experience in practice are, unfortunately, the ones
22 who tend to be the least likely to actually monitor

1 their blood sugars with some regularity.

2 DR. LOW WANG: Thank you.

3 Dr. Kalyani?

4 DR. KALYANI: Thanks. I voted yes. Results
5 from the ONWARDS 6 trial demonstrated that the
6 primary outcome of A1c lowering efficacy of insulin
7 icodec is noninferior to insulin degludec in people
8 with type 1 diabetes; however, important concerns
9 were raised regarding the higher percentage of
10 participants with hypoglycemia events, particularly
11 level 2, and higher serious adverse events, which
12 are mostly related to severe hypoglycemia in the
13 insulin icodec arm.

14 Nonetheless, many dose titration strategies
15 were modeled, including reduction of bolus insulin
16 by 30 percent in days 2 to 4, which suggests a
17 reduction of hypoglycemia events and comparable A1c
18 efficacy. Further, those who had hypoglycemic
19 events in ONWARDS 6 seemed to be the ones to be
20 more likely to have frequent recurrent events in
21 the icodec arm and could potentially be flagged as
22 people who would not benefit from this treatment in

1 the future.

2 As a once-weekly insulin icodec offers a new
3 paradigm for insulin administration, which may
4 reduce treatment burden and facilitate medication
5 taking behavior for some individuals with type 1
6 diabetes, on the other hand, bolus dose adjustments
7 required to prevent hypoglycemia during days 2 to 4
8 and potentially prevent hyperglycemia in
9 days 5 to 7 may add to the treatment complexity.

10 The clinical trial did not offer the option
11 to titrate insulin doses beyond weekly, though some
12 participants did this on their own, which may also
13 not reflect real-world situations where doses can
14 be adjusted every few days to mitigate glycemic
15 variability. In the context of patient-centered
16 care, insulin icodec offers a new option for people
17 with type 1 diabetes to obtain optimal care, but
18 may not be a simple option for all people with
19 diabetes.

20 Label considerations include adding the need
21 for continuous glucose monitor, or self-monitor
22 blood glucose if not available, while taking icodec

1 and warning for the higher risk of hypoglycemia
2 with insulin icodec versus degludec, and to avoid
3 use in those with higher risk of hypoglycemia, such
4 as those who are older, renal comorbidities, or
5 with high glycemic variability as measured by
6 percent CV or standard deviation until we have more
7 data to support use in these populations.

8 Clinical considerations include a need to
9 identify those that can take icodec safely and who
10 most obtain benefit such as those with suboptimal
11 medication taking behavior, fear of injections, or
12 unpredictable schedules. There needs to be
13 guidance for reducing bolus insulin during days 2
14 to 4 during its peak effect.

15 Given the PD profile, tighter A1c targets
16 may be more difficult to obtain without resulting
17 in higher rates of hypoglycemia. It is also
18 important to consider switching to daily basal
19 insulins in those who demonstrate recurrent
20 hypoglycemia while on icodec. Icodec, however, may
21 further offer a transition insulin for those who
22 have not been able to consistently take basal bolus

1 regimens daily and to lower A1c and potentially
2 prevent DKA in those at high risk of missing daily
3 insulin injections.

4 We do need more studies regarding
5 patient-reported outcomes among people with type 1
6 diabetes. Further evidence regarding subgroups
7 that would most benefit from the option of weekly
8 insulin can help guide the use in practice, and
9 also more studies regarding dose titration
10 schedules would be helpful.

11 However, overall, given that the primary
12 outcome for A1c efficacy has been demonstrated as
13 noninferior to insulin degludec; that risk
14 mitigation strategies to prevent and identify
15 hypoglycemia in a timely manner are available,
16 ideally through CGM or quantitatively self-monitor
17 blood glucose; and that effective treatments for
18 hypoglycemia are readily available, guided by the
19 compelling need to offer people with diabetes
20 another treatment option to choose from as part of
21 patient-centered care, my vote is yes.

22 DR. LOW WANG: Thank you, Dr. Kalyani.

1 Mr. Tibbits?

2 MR. TIBBITS: Paul Tibbits. I voted yes.
3 This was a significant struggle for me. I use my
4 guide as the FDA's response to my question about
5 clarifying the wording, the response being we want
6 to know if this is approvable. So my answer is
7 still yes, but I would answer that, in my mind, it
8 is only approvable with significant caveats and
9 what I would say would be potentially some of the
10 more draconian limitations the FDA could put on it,
11 meaning certainly some of the issues we've
12 discussed, which would not be a surprise, but CGM
13 involvement in the label; potentially glycemic
14 variability; certainly excluding patients from the
15 indication who have hypoglycemic unawareness; and
16 relating to something Dr. Onumah raised earlier, I
17 think, patients in their first year of diabetes
18 potentially excluded from the label.

19 As a patient representative, I certainly
20 want to help people with diabetes, but I also don't
21 want to hurt them, and I think this product has the
22 potential to do both. So I would put the onus on

1 the FDA to work with the applicant to make sure
2 that if this is approved, that there are as many
3 guardrails as possible to make sure that we don't
4 harm people with type 1 diabetes.

5 I would also take this moment to say that,
6 in my time in the healthcare world as a
7 professional, I did work on patient-reported
8 outcomes and patient data, and it's a little bit
9 disappointing that a company of this stature in the
10 diabetes world did not either present or do more
11 diligent work in specifically the type 1 community
12 and this product, knowing that this is where some
13 of the risks were and some of the controversy would
14 be, and to have a deeper understanding of who in
15 the type 1 world would really benefit; what is the
16 target audience; how do we work with the target
17 audience; and how do we work with the community to
18 ensure that this is really reaching the people who
19 it could most benefit?

20 I would also add that I would urge the FDA
21 to be extremely creative if they were to approve
22 this. Again, I'm very uncomfortable with the idea

1 of imposing something like a CGM restriction to
2 people who may already have access issues, so to
3 see if there's a creative way to tie that
4 requirement to something that the applicant would
5 need to do to ensure that whoever does get this
6 product is also able to access it without creating
7 additional burden for the patient, him or herself.
8 So again, I voted yes, but with significant caveats
9 and reservations.

10 DR. LOW WANG: Great. Thank you.

11 Dr. Weber?

12 DR. WEBER: This is Tom Weber. I voted yes.
13 It was also a very tough call. I went back and
14 forth, but I think what I landed on was the big
15 picture; that I think and believe there is more
16 benefits than harm. I think there is a population
17 of patients who will benefit from weekly therapy,
18 understanding there are challenges to mitigate the
19 risk of hypoglycemia, which is real. And I do
20 think if it is approved, there should be a
21 dedicated effort, obviously, for both
22 direct-to-consumer and physician education on

1 insulin dose adjustment and strategies to mitigate
2 that risk.

3 As mentioned earlier, as well, we do have
4 strategies to treat hypoglycemia, including nasal
5 glucagon, so there are ways to mitigate and treat
6 if it occurs. But I think, big picture, overall it
7 will be important to have this in our toolbox and
8 armamentarium for the reasons discussed, but
9 obviously there are things to work out and to do
10 this in a safe manner.

11 DR. LOW WANG: Thank you.

12 Next is Dr. Brittain, and just a reminder,
13 if you could also comment on additional data that
14 you think could help demonstrate that the benefits
15 outweigh the risks.

16 DR. BRITTAIN: I'm Erica Brittain, and I
17 voted no. It was a hard decision, the fact that we
18 didn't see any actual benefit demonstrated relative
19 to the comparator in the trial, which was important
20 to me because the question I think literally said
21 something to that effect; have we seen the data
22 that shows the benefit? But again, the

1 hypoglycemic risk that was shown was not balanced
2 by any data on satisfaction scores that were
3 encouraging, and even though the scores may be very
4 flawed, it was discouraging that I didn't see that.

5 There was a lot of talk about what's really
6 the right population, and it's not clear that this
7 trial really matched the appropriate population, so
8 I'm going to wonder about the generalizability of
9 this trial to the people who would be the most
10 natural audience or target for this treatment.

11 Again, I'm pretty optimistic that there's a
12 way forward for this once-a-week treatment in
13 type 1, but I think there just needs to be another
14 study in the right population that shows that the
15 risk of hypoglycemia can be managed in that right
16 population. Thank you.

17 DR. LOW WANG: Thank you.

18 Next, Dr. Crandall, and just a reminder to
19 comment on what additional data you think are
20 needed.

21 DR. CRANDALL: Jill Crandall. I voted no.
22 I think it's very clear about the benefits of

1 icodec or once-weekly insulin in type 2 diabetes
2 patients. I'm very happy about that prospect of
3 that being available, but I don't really feel like
4 the company did a good enough job of demonstrating
5 the benefits in type 1 diabetes. As I said
6 earlier, I think the risks are very clear, the
7 benefits are not. I think specifically in the area
8 of patient satisfaction, I'd like to see more data.
9 I'd like to see more attention paid to the
10 experience of patients who are using the drug, and
11 I think in future studies, that that's something
12 that would be very important.

13 I also think that future studies should test
14 out the hypoglycemia mitigation strategies that
15 they talked about. One of the main hypoglycemia
16 mitigation strategies was use of CGM, but CGM was
17 used in this study, so I think they need to do more
18 and test the modeling that they did that suggested
19 that the bolus adjustments would deal with the
20 hypoglycemia. I mean, even if that turns out to be
21 true in an actual clinical trial, I still have
22 reservations about the applicability of that for

1 many patients with diabetes.

2 I guess that gets to the final issue, which
3 is it's still not completely clear to me what the
4 target population for this drug would be. I agree
5 with Dr. Brittain; I'd like to be optimistic about
6 it. I think there are some theoretical benefits,
7 but I think there needs to be more work done
8 targeting what is the population that could benefit
9 and how do we mitigate the risks of hypoglycemia.

10 DR. LOW WANG: Thank you.

11 Dr. Nason?

12 DR. NASON: This is Martha Nason. I voted
13 no. Everything that I had on my list to say
14 somebody has already said, so I feel very
15 unoriginal. But I suppose that's good in saying
16 that we have some consensus and that we all have
17 some of the same ideas and concerns.

18 I think if they have learned from this study
19 what they think would be good strategies for
20 selecting subgroups, maybe on the CV or something
21 else, for titration or mitigation, I think it would
22 be really important to then put those to the test

1 with another clinical trial and be able to show
2 that they are able to increase the safety or find
3 the people in whom the safety seems to
4 outweigh -- sorry, the other way around, the
5 benefit seems to outweigh any safety concerns,
6 whether it's low CV or something else.

7 I basically agree that this has promise and
8 I hope it will work out. There just seems to be a
9 little more information needed as far as really
10 pinning down who and how it's used. Thanks.

11 DR. LOW WANG: Great. Thanks.

12 Dr. Onumah?

13 DR. ONUMAH: I voted yes. Barbara Onumah.
14 My rationale was based on the fact that this would
15 add a tool to the toolbox for clinicians to use
16 their clinical judgment because it is not very
17 apparent which specific population this would work
18 in, but there is a subgroup of patients, using
19 clinical judgment as we have discussed, that this
20 would be beneficial for.

21 The questions that we were tasked with, or
22 the question that we had to answer was, is there a

1 group that will benefit or have they shown that
2 there would be a glycemic benefit or improvement?
3 And my answer is that, yes, there would be in the
4 subgroup of patients who are not able to use
5 once-daily insulin for whatever reason and
6 therefore have suboptimal glycemic control. If
7 they're able to get access to a once-weekly basal
8 insulin, then they would have improved control and
9 they will have improvement in the glycemic control.

10 Of course, we still worry about
11 hypoglycemia, which is what we worry about when we
12 use any forms of insulin, but certainly there are
13 lots of questions and lots of risk mitigation that,
14 should this move forward, I would hope would have
15 to be addressed and answered. We would certainly
16 need more studies regarding dose titration, and we
17 will also definitely need a lot of both patient and
18 provider facing education material as it pertains
19 to how to prevent, and treat, and adjust doses, and
20 how to prevent hypoglycemia specifically, but most
21 importantly how to adjust dosing so that we can
22 prevent the hypoglycemia from occurring.

1 On the grand scheme of things, I think it's
2 always important to have more tools to choose from,
3 and because we know that diabetes is not a
4 univariable disorder and it's very different in
5 every person that we see, it's important and it's
6 helpful when we have different tools that we can
7 use to address every single person. And this data
8 that we have reviewed today, while this is not very
9 robust in terms of comparing it to what we already
10 have, it was not inferior to what we already have,
11 so it does show some promise in terms of using it
12 in persons who are not able to use what we have
13 currently; thus, my vote for yes.

14 DR. LOW WANG: Thank you so much.

15 Dr. Greevy?

16 DR. GREEVY: This is Robert Greevy. I voted
17 no, the benefit-risk difference for insulin icodec
18 has not been shown to be positive in the ONWARDS 6
19 trial; however, the data does suggest, and many
20 speakers attested, that there may be a specific
21 subpopulation who would benefit from icodec. A lot
22 of us in speaking so far have really suggested that

1 we have a sense that there's this group, but the
2 sponsor bears the burden of clearly identifying
3 that subpopulation and demonstrating the benefits
4 in that group.

5 It's not clear that the subpopulation is
6 simply those with low hypoglycemic risks and who
7 use CGM. Notably, those criteria don't address the
8 key motivation for a once-weekly therapy
9 specifically, or adherence with daily injections,
10 or great aversion to these daily injections, so it
11 seems like there should be some work done to
12 identify the subpopulation that we all seem to
13 think is out there.

14 An exploratory post hoc analysis of those
15 who reported being highly satisfied or highly
16 dissatisfied with their treatment in the ONWARDS 6
17 data could provide some insights. Excluding this
18 subject with 30 hypoglycemia events, did
19 hypoglycemia events associate with loss to
20 follow-up, with satisfaction or other
21 patient-reported outcomes, with 52-week A1c?

22 One of the things the data hinted lightly

1 at, or at least sparked my curiosity, is with this
2 increased risk of hypoglycemia over time, did that
3 result in a growing dissatisfaction with icodec,
4 and maybe even a reduction in how well people were
5 using icodec such that the A1c performance itself
6 seemed to be a little bit reduced at 52 weeks?

7 Follow-up studies on the ONWARDS 6 trial
8 participants, or specific subgroups of those
9 participants, could also be considered. Notably,
10 this would include patients who had to switch, due
11 to the trial ending, from icodec to a different
12 therapy, possibly daily insulin. So what did they
13 switch to? How did it go following 6 to 12 months
14 after the end of the ONWARDS 6 trial? How does the
15 group who were highly satisfied with icodec compare
16 to the group who are highly satisfied with
17 degludec? I think those questions could really
18 offer a lot of insight into who this subpopulation
19 might be.

20 My final thought on possible data that
21 wouldn't be too hard to get but could be really
22 interesting is a post-study survey of the icodec

1 arm, who would and would not be willing to go back
2 on icodec if they were offered that opportunity and
3 why, and what characterizes those who would go back
4 on it? Thank you.

5 DR. LOW WANG: Great. Thank you.

6 Dr. Beringer?

7 DR. BERINGER: Paul Beringer, and I voted no
8 basically on the risk-benefit ratio. The benefits
9 of the therapy are clearly once-weekly
10 administration would be easier to do, but that's
11 likely to be offset by the increased treatment
12 complexity by having to monitor and adjust bolus
13 doses on days 2 through 4.

14 Secondly, the PK/PD modeling data do not
15 support once-weekly administration as evidenced by
16 the glucose response variability that we saw during
17 the week, and it's also confirmed by the higher
18 risk of hypoglycemia as seen in the ONWARDS 6
19 trial. The proposed risk reduction strategies are
20 untested and may be difficult to implement in
21 practice. And as stated by others, I think going
22 forward, it would be beneficial to actually test

1 those risk mitigation strategies in a trial to see
2 whether, in fact, they can be implemented and the
3 goals can be achieved in the patients.

4 DR. LOW WANG: Great. Thank you.

5 I think I'm last. My name is Cecilia
6 Low Wang, and I voted no. I struggled with this
7 vote because I think adding more treatment options,
8 as has been mentioned, is super important for my
9 patients with diabetes, especially my patients with
10 type 1 diabetes because that's kind of an
11 under-investigated area right now, different
12 medication treatment options.

13 I'm concerned that approving icodec for use
14 at this point, with inadequate data, might be a
15 disincentive for further trials, which I think are
16 needed in order to use it safely in type 1
17 diabetes. I'm concerned about the lack of data
18 outside of the modeling that demonstrates that the
19 proposed risk mitigation strategies will be
20 effective for reducing the significantly increased
21 risk of hypoglycemia that was demonstrated. We
22 also saw post hoc data regarding the lower risk of

1 hypoglycemia in patients with lower coefficient of
2 variability, but this was post hoc.

3 I think more data are needed, specifically
4 regarding a protocol for decreases in bolus dosing
5 on days 2 to 4 and identifying populations who
6 would benefit the most from this insulin. And just
7 a reminder that the ONWARDS 6 clinical trial
8 included fewer than 300 patients with type 1
9 diabetes, and we really don't have adequate data
10 regarding efficacy of, again, those risk mitigation
11 strategies, and then subgroups of patients with
12 type 1 diabetes who would most benefit; so the
13 patients with a lower coefficient of variability
14 and how to do without CGM.

15 I think I'd like to summarize the
16 committee's comments before we end. We had four
17 panel members who voted yes and seven who voted no.
18 What I heard was a consensus that insulin icodec
19 could benefit some patients with type 1 diabetes to
20 be able to individualize therapy, and it was noted
21 that the clinical trial met the primary endpoint of
22 noninferiority for reduction in A1c. There was

1 really a lack of consensus on adequacy of the
2 demonstrated benefit. Many of these other
3 potential benefits were described as theoretical in
4 our prior discussions.

5 Panel members felt that adding to our
6 treatment options was felt to be very important for
7 our patients, but that the risks of hypoglycemia
8 were significantly greater than with the comparator
9 and didn't necessarily outweigh the added
10 complexity that was seen. The question of what is
11 the best treatment population was kind of noted
12 over and over, and it might be that it would be
13 those who can't use once-daily insulin, and because
14 of that have suboptimal control.

15 Some suggestions for labeling included
16 restricting use from those who are within that
17 first year of type 1 diabetes, those who are at
18 higher risk of hypoglycemia, including those who
19 are older with decreased kidney function, higher
20 coefficient of variability, recurrent DKA, or
21 recurrent hypoglycemia. It was noted that the
22 panel members would like more treatment options

1 with less variable pharmacokinetics for our
2 patients with type 1, and also that there's a lot
3 of patient and clinician education needed for its
4 use if it's approved.

5 The further data that are needed included
6 patient satisfaction information; efficacy of the
7 hypoglycemia risk mitigation strategies; a bolus
8 dose titration protocol; and then which subgroups
9 of patients with type 1 diabetes who would most
10 benefit from its use. We need more information on
11 the safety in patients with lower coefficient of
12 variability and whether we can use it in patients
13 with type 1 without the use of CGM. It was also
14 mentioned that follow-up studies from ONWARDS 6
15 would be helpful in terms of what insulin was
16 switched to, and then is the satisfaction with the
17 therapy durable?

18 Now, I think we're at the end of our time
19 here, and I'd like to express my sincere
20 appreciation for the work that went into preparing
21 for and organizing this meeting by all involved,
22 and I thank each of the panel members for your

1 time, your expertise, and the robust discussions.
2 I'd like to thank Novo Nordisk and the FDA for your
3 concise and informative presentations, as well as
4 Commander Bonner and the staff at the FDA for
5 preparing for this meeting. I'd like to thank the
6 individuals who spoke during the open public
7 hearing for your important contributions to the
8 meeting, and lastly, to the members of the public
9 for attending.

10 So before we adjourn, are there any last
11 comments from the FDA?

12 DR. NGUYEN: Hi. This is Dr. Michael
13 Nguyen. I just wanted to thank the panel for
14 convening today and sharing all of your clinical,
15 statistical, and personal experiences on this
16 important topic. I also wanted to thank you for
17 sharing very, very specific and very helpful
18 recommendations on what additional data would be
19 needed for this product. I also wanted to
20 thank Dr. Low Wang, especially, for chairing and
21 making this a very effective and efficient meeting.
22 Thank you and enjoy your long weekend.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Adjournment

DR. LOW WANG: Thank you so much. We will
now adjourn the meeting. Thank you, everyone.

(Whereupon, at 4:04 p.m., the meeting was
adjourned.)