

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Afternoon Session

Thursday, September 26, 2024

1:15 p.m. to 4:29 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

Joyce Frimpong, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

William J. Gradishar, MD

Professor of Medicine/Betsy Bramsen
Professor of Breast Oncology
Chief, Hematology/Oncology
Robert H. Lurie Comprehensive Cancer Center
Feinberg School of Medicine at
Northwestern University
Chicago, Illinois

1 **Ravi A. Madan, MD**

2 Senior Clinician

3 Head, Prostate Cancer Clinical Research Section

4 Genitourinary Malignancies Branch

5 Center for Cancer Research

6 National Cancer Institute

7 National Institutes of Health (NIH)

8 Bethesda, Maryland

9

10 **Daniel Spratt, MD**

11 Vincent K Smith Chair, Department of

12 Radiation Oncology

13 Professor of Radiation Oncology and Urology

14 University Hospitals Seidman Cancer Center

15 Case Western Reserve University

16 Cleveland, Ohio

17

18

19

20

21

22

1 **Neil Vasan, MD, PhD**

2 Assistant Professor

3 Division of Hematology & Oncology

4 Department of Medicine

5 Herbert Irving Comprehensive Cancer Center

6 Columbia University Medical Center

7 New York, New York

8

9 **TEMPORARY MEMBERS (Voting)**

10 **Dana Deighton**

11 *(Patient Representative; Afternoon Session Only)*

12 Washington, District of Columbia

13

14 **Lori Dodd, PhD**

15 Mathematical Statistician

16 Biostatistics Research Branch

17 National Institute of Allergy and

18 Infectious Diseases

19 NIH

20 Bethesda, Maryland

21

22

1 **Michael K. Gibson MD PhD FACP**

2 Associate Professor of Medicine

3 Director of Translational Research for

4 Esophagogastric Cancer

5 Vanderbilt-Ingram Cancer Center

6 Vanderbilt University Medical Center

7 Nashville, Tennessee

8

9 **Randy W. Hawkins MD**

10 *(Acting Consumer Representative)*

11 Assistant Professor

12 Internal Medicine

13 Charles R. Drew University of Medicine & Science

14 Los Angeles, California

15

16 **Christopher Lieu, MD**

17 *(Acting Chairperson)*

18 Professor of Medicine

19 Associate Director for Clinical Research

20 University of Colorado

21 Aurora, Colorado

22

1 **Heidi McKean, MD**

2 Medical Oncologist

3 Avera Medical Group

4 GI Medical Oncology Program Director

5 Avera Cancer Institute

6 Sioux Falls, South Dakota

7

8 **Jeffrey A. Meyerhardt, MD, MPH**

9 Chief Clinical Research Officer

10 Dana-Farber Cancer Institute

11 Professor of Medicine, Harvard Medical School

12 Boston, Massachusetts

13

14 **Hanna Sanoff, MD, MPH**

15 Professor of Medicine

16 Associate Chief for Clinical Operations

17 Division of Oncology

18 University of North Carolina at Chapel Hill

19 Chapel Hill, North Carolina

20

21

22

1 **Katherine Van Loon, MD, MPH**

2 *(via video conferencing platform)*

3 Professor of Clinical Medicine

4 Gastrointestinal Oncology

5 University of California, San Francisco

6 San Francisco, California

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Richard Pazdur, MD**

10 Director

11 Oncology Center of Excellence (OCE), FDA

12 Director (Acting)

13 Office of Oncologic Diseases (OOD)

14 Office of New Drugs (OND), CDER, FDA

15

16 **Paul Kluetz, MD**

17 Deputy Center Director

18 OCE, FDA

19 Supervisory Associate Director (Acting)

20 OOD, OND, CDER, FDA

21

22

1 **Steven Lemery, MD, MHS**

2 Director

3 Division of Oncology 3 (DO3)

4 OOD, OND, CDER, FDA

5

6 **Sandra Casak, MD**

7 Clinical Team Leader (Acting) Gastrointestinal

8 Malignancies DO3, OOD, OND, CDER, FDA

9

10 **Geetika Srivastava MD, MSPH**

11 *(Afternoon Session Only)*

12 Clinical Reviewer

13 DO3, OOD, OND, CDER, FDA

14

15 **Zhou Feng, PhD**

16 *(Afternoon Session Only)*

17 Statistical Reviewer

18 DBV, OB, OTS, CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order and Introduction of Committee	
Christopher Lieu, MD	12
Conflict of Interest Statement	
Joyce Frimpong, PharmD	16
FDA Introductory Remarks	
Sandra Casak, MD	20
Applicant Presentations - Merck Sharp & Dohme	
A subsidiary of Merck & Co., Inc.	
Overview of Pembrolizumab and PD-L1	
22C3 PharmDx	
M. Catherine Pietanza, MD	35
KEYNOTE-859 Results in Esophageal Cancer	
Pooja Bhagia, MD	39
Clinical Management of Esophageal Cancer	
Peter Enzinger, MD	46
Concluding Remarks	
M. Catherine Pietanza, MD	51

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM PAGE

Applicant Presentations - Bristol-Myers Squibb

Introduction

Ian Waxman, MD 52

Benefit Risk Profile in PD-L1 Subgroups

Dana Walker, MD, MSCE 58

Clinical Perspective

Ronan J. Kelly, MBBCh, MBA, FASCO 62

Conclusion

Ian Waxman, MD 69

Applicant Presentations - BeiGene USA, Inc.

Rationale-306 Results

Mark Lanasa, MD, PhD 71

PD-L1 Subgroup Analyses

Mark Lanasa, MD, PhD 77

Clinical Perspective

Nataliya Uboha, MD, PhD 83

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
FDA Presentation	
PD-L1 Expression and Immune Checkpoint Inhibitors for the First Line Treatment of Metastatic or Unresectable Esophageal Squamous Cell Carcinoma	
Geetika Srivastava, MD, MSPH	85
Clarifying Questions	98
Open Public Hearing	118
Questions to the Committee and Discussion	124
Adjournment	154

P R O C E E D I N G S

(1:15 p.m.)

Call to Order

Introduction of Committee

DR. LIEU: Good afternoon, and welcome. I would first like to remind everyone to please mute your line when you're not speaking, and also a reminder to everyone to please silence your cell phones, smartphones, and any other devices if you have not already done so. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her email is currently displayed.

My name is Dr. Christopher Lieu, and I'll be chairing this meeting. I will now call the afternoon session of the September 26, 2024 Oncologic Drugs Advisory Committee meeting to order. We'll start by going around the table and introducing ourselves by stating our names and affiliations. We will start with the FDA to my left and go around the table.

DR. PAZDUR: Richard Pazdur, Director, Oncology Center of Excellence.

1 DR. KLUETZ: Paul Kluetz, Deputy Director,
2 Oncology Center of Excellence.

3 DR. LEMERY: Steven Lemery, Director, DO3.

4 DR. CASAK: Sandra Casak, Division Team
5 Leader, DO3.

6 DR. SRIVASTAVA: Geetika Srivastava,
7 clinical reviewer, DO3.

8 DR. FENG: Zhou Feng, statistical reviewer,
9 Division of Biometrics V.

10 DR. LIEU: Dr. Van Loon?

11 (No response.)

12 DR. LIEU: We can come back to Dr. Van Loon.
13 Dr. Gradishar?

14 DR. GRADISHAR: Bill Gradishar, Northwestern
15 University.

16 DR. SPRATT: Dan Spratt, UH Seidman and Case
17 Western Reserve University.

18 DR. LIEU: Dr. Madan?

19 DR. MADAN: Ravi Madan, medical oncologist,
20 National Cancer Institute.

21 DR. LIEU: Chris Lieu, GI medical
22 oncologist, University of Colorado.

1 DR. FRIMPONG: Joyce Frimpong, Designated
2 Federal Officer, FDA.

3 DR. VASAN: Neil Vasani, Columbia University.

4 DR. DODD: Lori Dodd, Clinical Trials,
5 Research, and Statistics Branch, NIAID.

6 MS. DEIGHTON: Dana Deighton, patient
7 representative.

8 DR. HAWKINS: Randy Hawkins, internal
9 medicine, pulmonary medicine, Charles University,
10 consumer rep.

11 DR. GIBSON: Michael Gibson, aerodigestive
12 and GI oncology, Vanderbilt-Ingram Cancer Center.

13 DR. McKEAN: Heidi McKean, community medical
14 Oncologist, Avera Cancer Institute, Sioux Falls,
15 South Dakota.

16 DR. MEYERHARDT: Jeff Meyerhardt, GI medical
17 oncologist, Dana-Farber, Boston.

18 DR. SANOFF: Hanna Sanoff, GI medical
19 oncologist, University of North Carolina.

20 DR. LIEU: And Dr. Van Loon?

21 DR. VAN LOON: Hi. I'm Katherine Van Loon.
22 I'm a gastrointestinal oncologist and Professor of

1 Medicine at UCSF.

2 DR. LIEU: Thank you.

3 For topics such as those being discussed at
4 this meeting, there are often a variety of
5 opinions, some of which are quite strongly held.
6 Our goal is that this meeting will be a fair and
7 open forum for discussion of these issues, and that
8 individuals can express their views without
9 interruption. Thus, as a gentle reminder,
10 individuals will be allowed to speak into the
11 record only if recognized by the chairperson. We
12 look forward to a productive meeting.

13 In the spirit of the Federal Advisory
14 Committee Act and the Government in the Sunshine
15 Act, we ask that the advisory committee members
16 take care that their conversations about the topic
17 at hand take place in the open forum of the
18 meeting. We are aware that members of the media
19 are anxious to speak with the FDA about these
20 proceedings; however, FDA will refrain from
21 discussing the details of this meeting with the
22 media until its conclusion. Also, the committee is

1 reminded to please refrain from discussing the
2 meeting topic during breaks. Thank you.

3 Dr. Frimpong will read the Conflict of
4 Interest Statement for the meeting.

5 **Conflict of Interest Statement**

6 DR. FRIMPONG: Thank you.

7 The Food and Drug Administration is
8 convening today's meeting of the Oncologic Drugs
9 Advisory Committee under the authority of the
10 Federal Advisory Committee Act of 1972. All
11 members and temporary voting members of the
12 committee are special government employees, SGEs,
13 or regular federal employees from other agencies
14 and are subject to federal conflict of interest
15 laws and regulations.

16 The following information on the status of
17 this committee's compliance with federal ethics and
18 conflict of interest laws, covered by but not
19 limited to those found at 18 U.S.C. Section 208, is
20 being provided to participants in today's meeting
21 and to the public.

22 FDA has determined that members and

1 temporary voting members of this committee are in
2 compliance with federal ethics and conflict of
3 interest laws. Under 18 U.S.C. Section 208,
4 Congress has authorized FDA to grant waivers to
5 special government employees and regular federal
6 employees who have potential financial conflicts
7 when it is determined that the agency's need for a
8 special government employee's services outweighs
9 their potential financial conflict of interest, or
10 when the interest of a regular federal employee is
11 not so substantial as to be deemed likely to affect
12 the integrity of the services which the government
13 may expect from the employee.

14 Related to the discussion of today's
15 meeting, members and temporary voting members of
16 this committee have been screened for potential
17 financial conflicts of interests of their own as
18 well as those imputed to them, including those of
19 their spouses or minor children and, for purposes
20 of 18 U.S.C. Section 208, their employers. These
21 interests may include investments; consulting;
22 expert witness testimony; contracts, grants,

1 CRADAs; teaching, speaking, writing; patents and
2 royalties; and primary employment.

3 Today's agenda involves a discussion on the
4 use of immune checkpoint inhibitors in patients
5 with metastatic or unresectable esophageal squamous
6 cell carcinoma. The current labeling for approved
7 checkpoint inhibitors in this indication reflects
8 broad approvals in the intent-to-treat populations
9 agnostic of PD-L1 expression. Cumulative data have
10 shown that PD-L1 expression appears to be a
11 predictive biomarker of treatment efficacy in this
12 patient population; however, clinical trials have
13 used different approaches to assess PD-L1
14 expression and different thresholds to define PD-L1
15 positivity.

16 FDA would like the committee's opinion on
17 the following: adequacy of PD-L1 expression as a
18 predictive biomarker for patient selection in this
19 patient population; differing risk-benefit
20 assessments in different subpopulations defined by
21 PD-L1 expression; and adequacy of cumulative data
22 to restrict the approvals of immune checkpoint

1 inhibitors based on PD-L1 expression.

2 The committee will discuss the existing
3 sBLAs, which were approved for patients with
4 previously untreated, unresectable or metastatic
5 esophageal squamous cell carcinoma:
6 sBLA 125514/S-096 for Keytruda, pembrolizumab,
7 injection, submitted by Merck Sharp & Dome, LLC, a
8 subsidiary of Merck & Company, Incorporated;
9 sBLAs 125554/S-105 and S-106 for Opdivo, nivolumab,
10 injection, submitted by Bristol-Myers Squibb
11 Company; and sBLA 125377/S-122 for Yervoy,
12 ipilimumab, injection, submitted by Bristol-Myers
13 Squibb Company. The committee will also discuss
14 new BLA 761380 for tislelizumab, submitted by
15 BeiGene USA, Incorporated, for the same proposed
16 indication.

17 This is a particular matters meeting, which
18 specific matters related to Bristol-Myers Squibb's
19 sBLA, Merck's sBLA, and BeiGene's NDA will be
20 discussed. Based on the agenda for today's meeting
21 and all financial interests reported by the
22 committee members and temporary voting members, no

1 conflict of interest waivers have been issued in
2 connection with this meeting.

3 To ensure transparency, we encourage all
4 standing committee members and temporary voting
5 members to disclose any public statements that they
6 have made concerning the product at issue. We
7 would like to remind members and temporary voting
8 members that if the discussions involve any other
9 products or firms not already on the agenda for
10 which an FDA participant has a personal or imputed
11 financial interest, the participants need to
12 exclude themselves from such involvement, and their
13 exclusion will be noted for the record. FDA
14 encourages all other participants to advise the
15 committee of any financial relationships that they
16 may have with the firm at issue. Thank you.

17 DR. LIEU: Thank you, Dr. Frimpong.

18 We will now proceed with FDA introductory
19 remarks starting with Dr. Sandra Casak.

20 **FDA Introductory Remarks - Sandra Casak**

21 DR. CASAK: Good afternoon, and welcome
22 back. My name is Sandra Casak, and I am a team

1 leader in the Division of Oncology 3 at the FDA. I
2 will provide a brief introduction to the afternoon
3 session. Similar to this morning's session, we
4 will discuss the predictive value of PD-L1 tumor
5 expression and the potential for optimization of
6 treatment using PD-1 inhibitors for patients with
7 esophageal squamous cell carcinoma.

8 The first-line trials of immune checkpoint
9 inhibitors in combination with chemotherapy that
10 will be discussed today have demonstrated overall
11 survival benefit for patients with metastatic or
12 unresectable esophageal squamous cell carcinoma.
13 The FDA approvals of pembrolizumab based on
14 KEYNOTE-590 and nivolumab based on CHECKMATE-648,
15 in combination with chemotherapy, or ipilimumab, at
16 agnostic of PD-L1 expression status. Similarly,
17 the trial of tislelizumab currently under review,
18 RATIONALE-306, has shown a survival advantage in
19 patients treated with tislelizumab in combination
20 with chemotherapy.

21 Later today, the applicants will summarize
22 the design of each study, and Dr. Srivastava will

1 highlight similarities and differences between
2 them. For the purposes of this discussion, FDA's
3 analysis will be centered only on the comparison of
4 PD-1 inhibitors as add-ons to chemotherapy versus
5 chemotherapy in patients with esophageal squamous
6 cell carcinoma. In other words, we will not
7 discuss the data in patients with esophageal
8 adenocarcinoma or the results of the comparison of
9 nivolumab in combination with ipilimumab versus
10 chemotherapy alone.

11 In all three trials, overall survival
12 results were statistically significant in all
13 prespecified subgroup analyses of PD-L1 cutoffs.
14 As shown in this table, the hazard ratios for the
15 comparison of chemotherapy in combination with PD-1
16 monoclonal antibodies in the ITT population were
17 0.73, 0.74, and 0.66 in the pembrolizumab,
18 nivolumab, and tislelizumab trials, respectively.
19 Please note that there's an error in the slide, and
20 the results on the bottom reflect all patients with
21 esophageal squamous cell carcinoma. Similarly to
22 the ITT, the overall hazard ratios for PD-1

1 monoclonal antibodies were 0.72, 0.73, and 0.68 in
2 the pembrolizumab, nivolumab, and tislelizumab
3 trials, respectively.

4 This table summarizes the results of the
5 trials based on PD-L1 cutoffs. Each trial used
6 different diagnostic test methodology to assess
7 PD-L1 and different cutoffs for the prespecified
8 statistical analyses, which are highlighted in
9 colors, yellow for KEYNOTE-590, blue for
10 CHECKMATE-648, and green for RATIONALE-306. The
11 analysis of CHECKMATE-648 presented in this slide
12 is based on CPS PD-L1 scoring instead of TPS, which
13 was the original PD-L1 scoring algorithm used in
14 the trial, as this information is now available.

15 Although the overall survival results were
16 statistically significant for the anti-PD-1
17 containing arm in all three trials, highlighted in
18 the red box, in subgroup analysis, the point
19 estimates for the treatment effect appear
20 marginally or not favorable in patients with PD-L1
21 less than 1 tumors and intermediate in patients
22 with PD-L1 less than 10 tumors, as shown in the

1 right column. Although these results are
2 exploratory, and uncertainty exists for each trial,
3 the data does not appear to support the use of
4 anti-PD-1 drugs in patients with PD-L1 less than 1
5 tumors, and benefit appears to be of a higher
6 magnitude in patients with PD-L1 10 or higher
7 expressing tumors.

8 FDA granted approvals for pembrolizumab and
9 nivolumab regardless of PD-L1 status, reflecting
10 the ITT patient populations; however, results of
11 the prespecified cutoff, as well as exploratory
12 analysis of additional PD-L1 cutoffs, as shown in
13 this table, were included in FDA's product label to
14 provide data on differential efficacy seen in
15 patients with lower PD-L1 expression to inform
16 treatment decision making.

17 Although both KEYNOTE-590 and CHECKMATE-648
18 were positive studies in the overall population,
19 professional guideline recommendations for the
20 first-line treatment for patients with unresectable
21 or metastatic esophageal squamous cell carcinoma
22 are generally based on subgroup analysis of the

1 PD-L1 cutoff of each individual study. As you can
2 see in the slide, the guidelines and
3 recommendations may result in inconsistent approach
4 regarding who undergoes testing and which drug
5 might be used at a given PD-L1 cutoff.

6 The ASCO and NCCN guidelines and
7 recommendations were based on PD-L1 scoring
8 algorithm and statistical designs using the
9 individual studies, and as can be seen in the
10 right-upper corner, ASCO recommendations for
11 nivolumab is also accommodating to the use of a
12 different scoring algorithm that one used in the
13 clinical study supporting that particular
14 recommendation. None of these recommendations
15 specifically describe or require the use of
16 individual PD-L1 tests used in each one of these
17 trials.

18 As I have previously mentioned, FDA labels
19 do not restrict indication for pembrolizumab or
20 nivolumab and currently include information on the
21 efficacy of both drugs for PD-L1 status in the
22 product label. So why discuss PD-L1 in the

1 esophageal squamous cell carcinoma population now?
2 Although subgroup analysis in single trials can be
3 misleading, we now have results across three
4 trials, suggesting lack of efficacy in PD-L1
5 negative or less than 1 patients.

6 To summarize, improvement in survival with
7 the addition of a checkpoint inhibitor was greatest
8 in patients with higher PD-L1 expression, 10 or
9 more, in all three trials. Although sample sizes
10 were limited, the point estimates for treatment
11 effect -- 1 for pembrolizumab, 0.93 for nivolumab,
12 and 1.34 for tislelizumab -- did not appear
13 consistent with a beneficial effect of immune
14 checkpoint inhibitors in patients with tumors that
15 were PD-L1 less than 1.

16 Based on exploratory analysis of each trial
17 pointing to the lack of clinically meaningful
18 benefit in patients with PD-L1 low, the issue
19 whether PD-L1 testing is needed to select patients
20 for immune checkpoint inhibitor therapy for
21 treatment of esophageal or gastroesophageal cancers
22 have been extensively debated. In a published

1 meta-analysis by Dr. Yoon and colleagues of
2 randomized clinical trials, including gastric and
3 esophageal carcinomas, that was conducted to
4 evaluate overall survival benefit from immune
5 checkpoint inhibitors based on high versus absent
6 or low PD-L1 expression, 5,067 patients with
7 esophageal squamous cell carcinoma were included.

8 The meta-analysis was based on published
9 trial-level data and further report that among
10 patients with esophageal squamous cell carcinoma
11 across all lines, PD-L1 tumor proportion score, or
12 TPS, was the strongest predictor of immune
13 checkpoint inhibitors' benefit, and TPS high was
14 defined as TPS of 1 or greater, except in one trial
15 that used a 10 cutoff.

16 In the TPS high subgroup, the overall
17 survival hazard ratio was 0.60, while in the TPS
18 non-high subgroup, the hazard ratio was 0.84. The
19 second strongest predictor of benefit of treatment
20 with immune checkpoint inhibitors was CPS high,
21 defined as CPS of 10 or higher in all trials,
22 except one trial which used a 1 cutoff. In the CPS

1 high subgroup, the overall survival hazard ratio
2 was 0.62, while in the CPS non-high, the survival
3 hazard ratio was 0.82. While FDA did not
4 independently review this study, results are
5 consistent with PD-L1 status being predictive of
6 benefit in our patient-level evaluation of three
7 pivotal trials.

8 Although, typically, drugs approved by the
9 FDA are indicated for use in the total patient
10 population studied, when there are consistent
11 treatment effects across important study subgroups,
12 consideration should be given towards indication to
13 better inform use of a drug. As Dr. Lemery
14 previously presented, when considering subgroup
15 analysis, replication of results across multiple
16 trials, sample ascertainment, biological
17 plausibility, and study design considerations,
18 including stratification and prespecification of
19 analysis, are all factors important to the strength
20 of the evidence.

21 In each of the three trials of anti-PD-1
22 inhibitors, there was no prespecification for the

1 PD-L1 low subgroups. Although for each PD-L1
2 cutoff subgroup, the analysis of the studies were
3 underpowered, we now have the results of three
4 studies with generally consistent effects, in
5 addition to the data provided in Dr. Yoon and
6 colleagues' meta-analysis.

7 When talking about lack of effect or
8 uncertainty regarding treatment efficacy, safety
9 should also be carefully considered. Exposure to
10 treatment may potentially result in life-altering
11 toxicity, which may be a risk patients are willing
12 to take when a benefit is expected. The table at
13 the left is a summary of the incidence of select
14 immune-mediated adverse events across four trials
15 with single-agent pembrolizumab and nivolumab.

16 Although many of these events are treatable,
17 immune-mediated adverse events can become chronic.

18 These adverse events, or even the steroids
19 used to treat them, may compromise the patient's
20 quality of life, which is fundamental to patients.
21 In addition, although infrequent, there are deaths
22 related to immune reactions associated with the use

1 of immune checkpoint inhibitors. In short, PD-1
2 monoclonal antibody treatments have toxicity, and
3 benefit to patient relies on efficacy and
4 outweighing that risk. In PD-L1 low populations,
5 efficacy has come into question, and with it
6 whether a favorable risk-benefit is remaining for
7 those patients with tumors that are PD-L1 less than
8 1.

9 The table on the left is a snapshot of data
10 for each trial. Results in the esophageal squamous
11 cell carcinoma patients are displayed in the second
12 column, and the PD-L1 less than 1 and less than 10
13 subgroups are displayed in the third and fourth
14 columns. Later today, Dr. Srivastava will present
15 an extensive review, including FDA's exploratory
16 pooled analysis data, to provide additional context
17 regarding the results of each trial.

18 Kaplan-Meier curves, median overall
19 survivals, and hazard ratios in the PD-L1 low
20 population, highlighted in the red boxes, appear to
21 show marginal benefit across the class or even
22 potential detriment. One cannot ascertain whether

1 any minor differences are related to sample size,
2 testing methodology, or chance. Again, it is
3 important to consider that although a minority of
4 patients will develop severe or life-threatening
5 toxicity, benefit to patients relies on efficacy
6 outweighing that risk. In PD-L1 low populations,
7 efficacy has come into question, and with it,
8 whether a favorable risk-benefit remains for those
9 patients with tumors that are PD-L1 less than 1.

10 Going back to subgroup analysis
11 considerations, based on the data just presented,
12 it appears the differential efficacy based on PD-L1
13 status is replicated across independent clinical
14 trials. This repetition was in both the first-line
15 esophageal squamous cell carcinoma trials in
16 combination with chemotherapy, as well as in
17 additional trials when assessed head to head
18 against either chemotherapy or placebo, as
19 described by Dr. Yoon and colleagues.

20 Sample ascertainment for PD-L1 expression
21 was above 90 percent in the pembrolizumab and
22 nivolumab trials and 84 percent in the tislelizumab

1 trials, with results available from the vast
2 majority of patients. With respect to biological
3 plausibility, although PD-L1 has variable utility
4 as a biomarker in different tumor types, it does
5 appear to be useful in select disease settings.
6 Finally, a limitation of these findings is that all
7 three studies used different PD-L1 testing
8 methodology. PD-L1 high subpopulations were
9 selected at two different thresholds, and none of
10 the studies were specifically designed to test for
11 PD-L1 negative or low subgroups.

12 In summary, the current U.S. FDA approvals
13 of immune checkpoint inhibitors, in combination
14 with chemotherapy for the first-line treatment of
15 esophageal squamous cell carcinoma, are agnostic of
16 PD-L1 expression status; however, three independent
17 trials and FDA exploratory patient-level pooled
18 analysis, as well as the published trial-level
19 meta-analysis, support a predictive role of PD-L1
20 expression for treatment efficacy.

21 Patients with tumors with PD-L1 10 or higher
22 appear to have the greatest magnitude of benefit.

1 Patients with tumors with intermediate PD-L1
2 expression between 1 and 10 have lesser magnitude
3 of benefit. Patients with PD-L1 negative disease,
4 although there may be some residual uncertainty
5 based on small numbers of patients irrespective of
6 the assay used, appear to have no evidence of
7 benefit, and patients may actually be at risk for
8 harm. Selection of the PD-L1 cutoff of 1 would
9 result in approximately 90 percent of patients
10 being ineligible for checkpoint inhibitors and
11 would allow a consistent approach to treatment in
12 the clinic.

13 Following all the presentations, we would
14 like the committee to discuss the risk and benefit
15 of the use of anti-PD-1 antibodies for the
16 first-line treatment of patients with metastatic or
17 unresectable esophageal squamous cell carcinoma,
18 with PD-L1 status less than 1. Following the
19 discussion, we would like the committee to vote on
20 the risk-benefit assessment for the use of
21 anti-PD-1 antibodies in first-line unresectable or
22 metastatic esophageal squamous cell carcinoma with

1 a PD-L1 expression less than 1. Thank you.

2 DR. LIEU: Thank you, Dr. Casak.

3 Both the Food and Drug Administration and
4 the public believe in a transparent process for
5 information gathering and decision making. To
6 ensure such transparency at the advisory committee
7 meeting, FDA believes that it is important to
8 understand the context of an individual's
9 presentation.

10 For this reason, FDA encourages all
11 participants, including industry's non-employee
12 presenters, to advise the committee of any
13 financial relationships that they may have with
14 industry, such as consulting fees, travel expenses,
15 honoraria, and interest in the sponsor, including
16 equity interests and those based upon the outcome
17 of the meeting.

18 Likewise, FDA encourages you at the
19 beginning of your presentation to advise the
20 committee if you do not have such financial
21 relationships. If you choose not to address this
22 issue of financial relationships at the beginning

1 of your presentation, it will not preclude you from
2 speaking.

3 We will now proceed with our first
4 presentation from Merck.

5 **Applicant Presentation - Catherine Pietanza**

6 DR. PIETANZA: Good afternoon. I am Cathy
7 Pietanza, Vice President of Clinical Research in
8 Late Stage Oncology. I'm a medical oncologist, and
9 prior to joining Merck, I was an attending
10 physician at Memorial Sloan Kettering Cancer
11 Center. We will share evidence supporting the
12 positive benefit-risk of Keytruda in patients with
13 esophageal cancer, which comprise both esophageal
14 squamous cell carcinoma and adenocarcinoma. These
15 may be referred to as esophageal cancer in this
16 presentation.

17 This morning, we presented the biological
18 rationale for combining pembrolizumab and
19 chemotherapy, as well as the methodology for
20 testing and validating PD-L1 CPS cutpoints used in
21 Merck's pivotal trials. These pertain to our
22 esophageal trials as well. I will describe

1 Keytruda's meaningful place in the treatment of
2 esophageal cancer; Dr. Pooja Bhagia will then share
3 data from KEYNOTE-590; and finally, Dr. Peter
4 Enzinger will provide his clinical perspective.

5 Metastatic esophageal cancer is a rare
6 disease, with patients having a poor prognosis,
7 only 5 percent surviving 5 years. Before
8 immunotherapy, the only treatment for first-line
9 metastatic disease was chemotherapy. This
10 malignancy has no biomarkers or targetable
11 molecular aberrations, and as such, we face a
12 dearth of therapeutic options.

13 Rigorous study design and conduct gives
14 confidence in the positive results of KEYNOTE-590,
15 which met success criteria for all primary and key
16 secondary endpoints in the intention-to-treat
17 population. The Keytruda label includes
18 information about PD-L1 subgroups, empowering
19 physicians to work with patients to make the best
20 choice for therapy. The indication for Keytruda
21 and esophageal cancer should be retained based on
22 the safety and efficacy data in this patient

1 population.

2 KEYNOTE-590 was the first global phase 3
3 study to assess PD-1 inhibitors in combination with
4 chemotherapy in advanced esophageal squamous cell
5 carcinoma and esophageal adenocarcinoma, based on
6 the best evidence at the time. Multiple
7 interactions occurred with the FDA, where key
8 design elements were jointly agreed upon.

9 KEYNOTE-590 was approved in March 2021 and set a
10 new standard of care for patients with locally
11 advanced and metastatic esophageal cancer.

12 As you heard this morning, Merck determines
13 the PD-L1 CPS cutpoints used in its randomized
14 trial through the process outlined here.

15 Pathologists were trained to use these prespecified
16 cutpoints during patient screening for KEYNOTE-590,
17 the validation set for assessing PD-L1 expression
18 in the study. All PD-L1 evaluation was performed
19 in a central laboratory. High-quality PD-L1 data
20 informed meaningful efficacy subgroup analyses and
21 support the allcomers indication for KEYNOTE-590.

22 While higher PD-L1 expression enriches for

1 pembrolizumab monotherapy efficacy in esophageal
2 cancer, we cannot predict who will benefit,
3 especially when chemotherapy is added to
4 pembrolizumab. Finally, acknowledging that PD-L1
5 testing outside of clinical trials is variable, we
6 stand by the meticulous process and data in
7 KEYNOTE-590.

8 Merck phase 3 trials are designed with
9 strict statistical methods, and these methods
10 should be followed for labeling. Post hoc subgroup
11 analyses without type 1 error control may lead to
12 chance findings that could potentially be
13 misleading, and thus should be interpreted with
14 caution. As discussed this morning, the FDA pooled
15 analysis has inherent limitations. Post hoc
16 subgroup and pooled analyses should not supersede
17 patient-specific data of a phase 3 trial with a
18 diagnostic specifically developed for use with
19 pembrolizumab.

20 Since the approval of KEYNOTE-590 in 2021,
21 there have not been any new efficacy or safety data
22 that change the benefit-risk profile for

1 pembrolizumab in this patient population. The
2 PD-L1 assay is specific to pembrolizumab. There
3 are key differences in determining a restriction of
4 this indication by PD-L1 cutpoint compared to those
5 for cetuximab or panitumumab and olaparib.
6 Molecular alterations such as KRAS and BRCA
7 mutations strongly predict response, whereas PD-L1
8 expression is a continuum, can be modulated by
9 other therapies like chemotherapy, and is not
10 always predictive of immunotherapy response. NCCN,
11 ASCO, and ESMO guidelines provide detailed
12 recommendations based on different PD-L1 cutpoints.
13 Physicians use these guidelines, the label, and
14 patient-specific characteristics to choose the
15 right treatment.

16 Now, Dr. Pooja Bhagia will share data from
17 the pivotal phase 3 study.

18 **Applicant Presentation - Pooja Bhagia**

19 DR. BHAGIA: Thank you, Dr. Pietanza.

20 My name is Pooja Bhagia. I am the Upper GI
21 Cancer Clinical Lead at Merck, and I will present
22 efficacy and safety data from KEYNOTE-590.

1 KEYNOTE-590 supported the approval of Keytruda for
2 the first-line treatment of adults with metastatic
3 esophageal cancer. In KEYNOTE-590, patients had
4 metastatic or locally advanced unresectable
5 esophageal cancer. The stratification factors in
6 this study were region, histology, and ECOG status.
7 This study had dual primary endpoints of OS and PFS
8 and secondary endpoint of ORR. Alpha for
9 statistical testing was initially allocated to the
10 overall survival and progression-free survival dual
11 primary endpoints, and then passed to the key
12 secondary endpoint of objective response rate.

13 KEYNOTE-590 was initiated based on earlier
14 studies, showing that pembrolizumab alone could
15 trigger anti-tumor responses in esophageal cancer.
16 Chemotherapy forms an essential backbone for
17 treatment of esophageal cancer, and combining it
18 with pembrolizumab may benefit patients with a wide
19 range of PD-L1 expression as seen in other cancers.
20 This led to the combination being used in this
21 trial.

22 The study was originally designed to test

1 hypothesis in both the ITT group and
2 biomarker-positive patients. After KEYNOTE-590
3 began, results from the phase 2 KEYNOTE-180 trial
4 showed that patients with CPS greater than equal to
5 10 responded better to pembrolizumab. This led to
6 CPS greater than equal to 10 being selected as a
7 subgroup for analysis in KEYNOTE-590. The
8 statistical plan was then adjusted to test
9 hypotheses in both the CPS greater than equal to 10
10 group and the ITT population.

11 Baseline characteristics were well balanced
12 between the two arms. Approximately 73 percent of
13 patients enrolled had squamous cell carcinoma.
14 PD-L1 CPS greater than or equal to 10 comprised
15 approximately 50 percent of the population and
16 PD-L1 CPS greater than or equal to 1 included about
17 85 percent of the population.

18 Although CPS greater than or equal to 1 was
19 not a prespecified cutpoint in KEYNOTE-590, Merck
20 has confidence in the accuracy of these data for
21 two reasons. First, this cutpoint has been used in
22 previous esophageal studies and continues to be

1 utilized in our ongoing esophageal studies.
2 Second, pathologists at our testing labs were
3 trained and certified at this cutpoint, and the
4 testing lab had validated this cutpoint,
5 establishing reproducibility and repeatability.

6 KEYNOTE-590 met success criteria for all
7 endpoints in the intent-to-treat population, which
8 was all patients regardless of PD-L1 status and
9 histology. The overall survival curve favored
10 pembrolizumab with a 27 percent reduction in the
11 risk of death. Progression-free survival curve
12 also favors pembrolizumab, reducing the risk of
13 progression or death by 35 percent. At 2 years,
14 28 percent of patients receiving pembrolizumab plus
15 chemotherapy remain alive versus 16 percent of
16 those who received chemotherapy. Notice the tail
17 of the curve, which is characteristic of
18 pembrolizumab.

19 The safety profile of the investigational
20 arm is consistent with the established safety
21 profiles of pembrolizumab and chemotherapy. The
22 addition of pembrolizumab adds immune-mediated AEs

1 and infusion reaction, which were mostly low grade
2 and manageable. It is known that some
3 immune-mediated AEs such as endocrinopathies will
4 require long-term hormone replacement. These data
5 highlight the favorable benefit-risk profile of
6 pembrolizumab plus chemotherapy for all patients.

7 To address FDA's questions, we will now look
8 at different PD-L1 cutpoints. Although a higher
9 magnitude of benefit is seen with increasing PD-L1
10 expression, all subgroups are directionally
11 consistent with the ITT population, with point
12 estimates of the hazard ratio being less than 1.
13 In the CPS greater than equal to 1 subgroup, the
14 point estimate of the hazard ratio for OS and PFS
15 is 0.7 and 0.63, respectively, demonstrating a
16 clinically meaningful benefit.

17 The CPS greater than equal to 10 subgroup
18 also shows a clinically meaningful benefit. The
19 CPS less than 1 subgroup was not prespecified with
20 formal statistical testing. Given the small number
21 of patients, the OS hazard ratio confidence
22 intervals are very wide and overlap with ITT. What

1 this forest plot does not show is that there were
2 4 patients that had a complete response in the
3 chemotherapy plus pembrolizumab arm versus none in
4 the chemotherapy alone arm. Of the 4 patients,
5 3 patients were still alive at 5 years.

6 In patients with CPS between 1 and less than
7 10, we see a benefit with an OS hazard ratio of
8 0.84 and confidence intervals that overlap with the
9 ITT, indicating that the benefit is not driven by
10 CPS greater than 10. At A 5-year follow-up
11 assessment, the benefit of pembrolizumab is
12 consistent with the primary analysis with an
13 improvement in the hazard ratio, underscoring a
14 tenet of immunotherapy.

15 There is no biological rationale to suggest
16 that the safety profile of pembrolizumab would
17 change based on PD-L1 expression. The safety
18 profile is, in general, similar across CPS
19 cutpoints, even when CPS less than 1 is compared
20 with other CPS cutpoints. Of note, any death due
21 to AEs is already accounted for in the KM curves,
22 and the hazard ratio for the CPS less than 1

1 subgroup, as we see here, is less than 1.

2 For the ESCC patients in KEYNOTE-590, the
3 results were similar to the ITT population with
4 statistically significant and clinically meaningful
5 effect regardless of PD-L1 status. At a 5-year
6 follow-up assessment, the benefit of pembrolizumab
7 in the ESCC is consistent with the primary analysis
8 and maintained, suggesting long-term efficacy for
9 this patient population.

10 As requested by the FDA, overall survival
11 and progression-free survival data by additional
12 PD-L1 CPS cutpoints are shown here. About
13 90 percent of patients with ESCC have tumors
14 expressing CPS at a score of 1 or more, and this
15 subgroup demonstrates meaningful benefit. The ESCC
16 population with CPS less than 1 represents a
17 subgroup of a subgroup, and therefore, meaningful
18 conclusions cannot be made. Overall, the results
19 in the ESCC population are consistent with the ITT
20 population.

21 In summary, there is a high unmet need in
22 first-line metastatic esophageal cancer.

1 Pembrolizumab added to chemotherapy significantly
2 improved overall survival, progression-free
3 survival, and response rates in the ITT population
4 with greater benefits at higher PD-L1 levels.
5 Patients with lower CPS scores also benefited,
6 showing that CPS alone cannot predict who will
7 respond to pembrolizumab and chemotherapy.
8 Health-related quality of life remains stable
9 during treatment, was similar between arms, and
10 consistent across CPS subgroups.

11 The manageable safety profile reflects the
12 known safety profiles of the components and is
13 generally similar across CPS subgroups. The
14 totality of evidence supports that pembrolizumab
15 should be available to all patients with esophageal
16 cancer as a treatment option consistent with the
17 approved label. Thank you, and I will now invite
18 Dr. Enzinger to the podium.

19 **Applicant Presentation - Peter Enzinger**

20 DR. ENZINGER: Good afternoon. My name is
21 Peter Enzinger. I am a GI oncologist at the
22 Dana-Farber Cancer Institute and an associate

1 professor at Harvard Medical School. I'm happy to
2 discuss my clinical perspective on the data shared
3 today. Here are my disclosures.

4 Unfortunately, esophageal cancer remains
5 understudied and underserved, leaving patients with
6 limited options for treatment. Most patients are
7 diagnosed at stage 4, and as we can see on the
8 right, they have a dismal prognosis. Before
9 approval of immunotherapy, the only option was
10 chemotherapy. This is a difficult to treat
11 disease, and most patients do not live to get
12 second line. Current treatments are largely
13 palliative, which emphasizes the urgent need for
14 new treatment options to improve patient outcomes
15 and quality of life.

16 For more than three decades, treatment has
17 been a combination of platinum and fluorouracil.
18 Only by borrowing the FDA indication from gastric
19 adenocarcinoma have we been able to introduce
20 trastuzumab and ramucirumab for some of our
21 adenocarcinoma patients. Pembrolizumab plus
22 chemotherapy is practice changing for first-line

1 metastatic esophageal cancer and a valuable
2 treatment option that should remain a choice.
3 Physicians should consider urgency of treatment,
4 timing of biomarker testing, adverse event profile,
5 and prospect of long-term survival. Patient PD-L1
6 expression level can assist with individual patient
7 management decisions.

8 Although PD-L1 testing aids in understanding
9 who may have increased benefit from immunotherapy,
10 it presents some challenges in clinical practice.
11 Some of the reasons are as follows. There can be
12 different assays and antibody clones used by
13 various organizations that are not FDA approved,
14 which may result in staining variability; and
15 unlike a clinical trial, in the real world,
16 patients may not have a sample of sufficient
17 quality for PD-L1 testing. And finally, there is
18 significant inconsistency in pathologist training
19 in cutpoint interpretation.

20 To investigate PD-L1 testing and treatment
21 patterns among advanced or metastatic esophageal
22 cancer patients, a retrospective observational

1 study was conducted using the Flatiron database of
2 adult patients who received first-line systemic
3 treatment. Of the 670 patients treated in the
4 first-line setting, 66 percent were evaluated for
5 PD-L1. In this group, 41 percent were treated with
6 chemotherapy plus immunotherapy and 58 percent
7 received chemotherapy alone. These data suggest
8 that physicians and patients carefully weigh the
9 risks and benefits of available treatment options.
10 Importantly, if the indication is restricted to
11 patients with CPS greater than equal to 1, this
12 will deprive approximately 11 percent of patients
13 of potentially effective therapy.

14 I would like to highlight a patient treated
15 on KEYNOTE-590. This is a western patient in her
16 40s with esophageal squamous cell carcinoma with
17 lung metastases. She had a CPS score of less
18 than 1. She was randomized to the treatment arm,
19 and after 15 cycles of chemotherapy and
20 pembrolizumab, imaging showed a complete response.
21 I want to acknowledge that such responses may be
22 seen with chemotherapy alone; however, what is

1 remarkable is the durability of response which
2 lasted for about 50 months. Further, this patient
3 was alive at 5 years.

4 This patient illustrates that despite a CPS
5 score of less than 1, durable responses and
6 long-term survival is possible, which is not
7 typical of chemotherapy. Of note, this may not be
8 such an unusual result. The final results of
9 KEYNOTE-590 showed 5-year survival in approximately
10 11 percent of all randomized patients treated with
11 pembrolizumab and chemotherapy compared to only
12 3 percent with chemotherapy alone.

13 In conclusion, treatment options are
14 severely limited for this disease. Checkpoint
15 inhibitors have revolutionized the care of patients
16 with esophageal cancer, improving survival and
17 maintaining quality of life. The choice to add a
18 checkpoint inhibitor must be individualized and
19 depends on many factors. Their ability in
20 real-world PD-L1 biomarker testing may hinder
21 treatment decisions. Further, the scientific
22 community informs decision making through clinical

1 guidelines. The allcomer indication allows
2 patients to have immunotherapy as a first-line
3 treatment option at the discretion of their
4 treating physician.

5 Thank you, and Dr. Pietanza will now make
6 closing remarks.

7 **Applicant Presentation - Catherine Pietanza**

8 DR. PIETANZA: Thank you, Dr. Enzinger.

9 In summary, KEYNOTE-590 established
10 pembrolizumab and chemotherapy as a standard of
11 care in esophageal carcinoma. It met all its
12 primary and key secondary endpoints in the
13 intention-to-treat population. The label reflects
14 the study outcome.

15 Metastatic esophageal cancer is a fatal
16 disease, where survival is measured in months.
17 Pembrolizumab combined with chemotherapy is one of
18 the only treatment options for these patients.
19 Data show that efficacy can occur across a range of
20 PD-L1 expression, including in those with low or no
21 expression. As we heard from Dr. Enzinger,
22 restricting the indication would leave these

1 patients no choice but chemotherapy. The current
2 indication allows physicians to make the best
3 possible choice for patients with esophageal
4 cancer. Thank you for your attention.

5 DR. LIEU: Thank you.

6 We will take a quick 10-minute break to
7 allow for the next presentation to set up. Panel
8 members, please remember that there will be no
9 discussion of the meeting topic during the break
10 amongst yourselves or with any member of the
11 audience. We will resume at 2:10 p.m. Eastern
12 Time.

13 (Whereupon, at 2:01 p.m., a recess was taken,
14 and meeting resumed at 2:10 p.m.)

15 DR. LIEU: Welcome back, everybody. We will
16 now proceed with our second presentation from
17 Bristol-Myers Squibb.

18 **Applicant Presentation - Ian Waxman**

19 DR. WAXMAN: Good afternoon. My name is Ian
20 Waxman, and I'm part of the late development
21 oncology organization at Bristol-Myers Squibb. I'd
22 once again like to thank the advisory committee

1 members and the FDA staff for this opportunity to
2 discuss the data for Opdivo, this time in
3 combination with chemotherapy, or ipilimumab, in
4 first-line esophageal squamous cell carcinoma, also
5 known as ESCC. These data come from the
6 CHECKMATE-648 study and resulted in FDA approval
7 for this indication in May of 2022.

8 The indication statement for this approval
9 is shown on this slide, and it's important to
10 highlight two things here. First, the approval was
11 granted regardless of PD-L1 status; and second,
12 since the initial approval, our interpretation of
13 the study result has not changed with longer
14 follow-up. Although the indication statement is
15 not limited to a PD-L1 positive population,
16 clinical data by PD-L1 expression level are
17 included in Section 14 of the USPI. These data are
18 included to ensure that treating physicians have
19 sufficient information regarding the impact of
20 PD-L1 positivity when discussing treatment options
21 with their patients.

22 Since the time of this approval, results

1 from additional esophageal cancer studies have been
2 reported. As was the case for gastric cancer,
3 different sponsors incorporated different methods
4 for measurement of PD-L1 and selected different
5 cutoffs to determine positivity in these studies.
6 For esophageal squamous cell carcinoma, NCCN
7 recommendations for the Opdivo combinations are not
8 based on PD-L1 expression level, a decision
9 influenced by the overall high rate of PD-L1
10 positivity in CHECKMATE-648.

11 Since information regarding the impact of
12 PD-L1 expression on outcomes is readily available,
13 including in the USPI, it's helpful to understand
14 how often physicians are testing their patients and
15 whether or not their treatment decisions are
16 influenced by those test results. What we see,
17 based on U.S. Flatiron data, is that about
18 60 percent of advanced ESCC patients who receive
19 first-line treatment are tested for PD-L1
20 expression, even without a requirement to do so,
21 demonstrating that many physicians see value in
22 PD-L1 testing today; and when we move from testing

1 patterns to treatment patterns, we see that
2 physicians are oftentimes incorporating the test
3 results into their treatment decisions, with the
4 presence of a positive test result leading to
5 greater likelihood of treatment with an IO regimen.

6 On the left-hand side of the slide, we see
7 that among patients who test positive for PD-L1
8 expression, three-quarters are receiving IO plus
9 chemotherapy, in blue, and about 8 percent receive
10 nivo plus ipilimumab, in red. In the middle, you
11 can see that among the small subset of patients who
12 tested negative for PD-L1, only about one-quarter
13 receive an IO regimen. Another way to think about
14 this is that among all treated patients, less than
15 5 percent are treated with IO and known to be PD-L1
16 negative.

17 On the far right-hand side, we're reminded
18 that some treatment decisions continue to be made
19 in the absence of a test result. We consider use
20 of IO to be appropriate in this patient segment
21 since an untested patient is more likely to be
22 positive than negative, with approximately

1 90 percent of ESCC patients considered PD-L1
2 positive when using the CPS 1 cutoff. With this in
3 mind, we're here to discuss whether any label
4 changes for Opdivo in first-line ESCC are needed,
5 also using this as an opportunity to consider
6 harmonization of product labels based on PD-L1
7 expression. Our goal is to ensure that each
8 first-line ESCC patient has every appropriate
9 therapy available to them, along with clear
10 guidance to inform choice of treatment.

11 A review of subgroup analyses by PD-L1
12 expression level from the CHECKMATE-648 and the
13 unique clinical considerations for this patient
14 population are also critical parts of the
15 discussion, and we will turn to these topics next.
16 Once we've considered these additional points, I'll
17 return to summarize potential options for labeling,
18 also briefly described here.

19 One option is to modify the indication to
20 only include patients testing PD-L1 positive using
21 any FDA approved test. This would limit treatment
22 to patients more likely to benefit based on the

1 clinical trial data but could leave some patients
2 without a potentially important treatment choice.
3 The proposal to use any approved test would
4 minimize the impact on each institution's current
5 testing practices since CPS is used much more
6 widely than TPS today.

7 The second option is to leave the indication
8 as is so that physicians can continue to make
9 treatment decisions informed by the data as
10 currently described in the USPI and consistent with
11 NCCN guidelines. Given that ESCC is a rare disease
12 with very high prevalence of PD-L1 expression, we
13 consider this to be the preferred option.
14 Additional considerations for each of these
15 approaches are shown here and will be discussed in
16 more detail in the next parts of the presentation.

17 Here is the agenda for the remainder of our
18 time. First, Dr. Dana Walker from the drug
19 development organization at BMS will review the
20 relevant efficacy and safety data from
21 CHECKMATE-648; then Dr. Ronan Kelly from Baylor
22 University will provide his clinical perspective on

1 the value of PD-L1 testing for patients with ESCC;
2 and finally, I'll return to review options for
3 labeling. Thank you, and I'll now turn it over to
4 Dr. Walker.

5 **Applicant Presentation - Dana Walker**

6 DR. WALKER: Thank you. My name is Dana
7 Walker, and I'm the Global Program Lead for Opdivo
8 and Yervoy for GI and GU cancers at BMS. Today, I
9 will present data from the CHECKMATE-648 study,
10 demonstrating the benefit-risk profile across PD-L1
11 subgroups in esophageal cancer.

12 CHECKMATE-648 is a randomized, open-label,
13 phase 3 study that enrolled previously untreated
14 patients with unresectable advanced, recurrent, or
15 metastatic esophageal squamous cell carcinoma
16 regardless of PD-L1 expression. A total of
17 970 patients were randomized to receive nivolumab
18 plus chemotherapy, nivolumab plus ipilimumab, or
19 chemotherapy alone. Stratification factors
20 included tumor cell PD-L1. The primary endpoints
21 of the study were overall survival and
22 progression-free survival per BICR for patients

1 with tumor cell PD-L1 of 1 percent or higher,
2 referred to as the PD-L1 positive population.

3 CHECKMATE-648 demonstrated both a
4 statistically significant and clinically meaningful
5 improvement in the primary endpoint of overall
6 survival in the PD-L1 positive population, with a
7 hazard ratio of 0.54 and a 6.3-month improvement in
8 median overall survival compared with chemotherapy
9 alone. The secondary endpoint of overall survival
10 in the all-randomized population was also met, with
11 a hazard ratio of 0.74.

12 Shown here is overall survival by TPS
13 subgroups. The data in the blue boxes highlight
14 the prespecified primary and secondary analysis
15 populations. The other TPS subgroup analyses were
16 exploratory. The overall survival benefit was
17 observed across all PD-L1 positive subgroups, and
18 there was a higher likelihood of overall survival
19 benefit observed in patients whose tumors expressed
20 PD-L1. In patients with TPS less than 1, the
21 overall survival hazard ratio was 0.98, suggesting
22 there is no overall survival benefit.

1 During the study, data began to emerge,
2 suggesting the potential predictive value of CPS in
3 upper GI tumors; therefore, we conducted
4 exploratory overall survival analyses in PD-L1 CPS
5 subgroups. Similar to the TPS less than 1 percent
6 subgroup, the hazard ratio in the CPS less than 1
7 subgroup was 0.98. Please note, approximately
8 90 percent of patients in the trial with known
9 PD-L1 status were CPS greater than or equal to 1;
10 therefore, the CPS less than 1 analyses should be
11 interpreted with caution.

12 Additionally, and similar to the TPS
13 subgroups, patients were more likely to derive an
14 overall survival benefit at any level of PD-L1
15 positivity as measured by CPS. In contrast to what
16 we saw in gastric cancer, there is no evidence of
17 increased benefit at higher CPS scores.

18 Here, we present the exploratory subgroup
19 data for the nivolumab plus ipilimumab versus
20 chemotherapy comparison. Looking at overall
21 survival across CPS subgroups, a similar trend was
22 observed in the nivo plus chemo versus chemo

1 comparison, with a higher likelihood of overall
2 survival benefit with nivo plus ipi in all CPS
3 positive patients. Results of long-term overall
4 survival follow-up across PD-L1 subgroups were
5 generally consistent with those reported at the
6 primary analysis for both the nivo plus chemo and
7 nivo plus ipi regimens and is discussed in more
8 detail in our briefing document.

9 The safety profile of nivo plus chemo and
10 nivo plus ipi observed in CHECKMATE-648 was
11 consistent with the known safety profile of the
12 individual drug components. As expected, the
13 addition of nivolumab to standard chemotherapy was
14 associated with added toxicity. Grade 3-4
15 treatment-related adverse events and those leading
16 to discontinuation of any treatment component were
17 numerically higher in patients receiving nivo plus
18 chemo. Of note, in both nivo-containing arms, the
19 majority of immune-mediated events were low grade,
20 manageable with established treatment algorithms,
21 and reversible. Importantly, the safety profile of
22 both nivo-containing regimens did not differ based

1 on PD-L1 expression and was consistent across all
2 PD-L1 subgroups evaluated.

3 In summary, CHECKMATE-648 demonstrated
4 statistically significant and clinically meaningful
5 overall survival benefit in the TPS greater than or
6 equal to 1 percent in all randomized populations.
7 Exploratory analyses suggest similar overall
8 survival benefit across all PD-L1 positivity, and
9 long-term overall survival follow-up data are
10 consistent with the data available at the time of
11 the approval. The safety profile of nivo plus
12 chemo and nivo plus ipi was consistent with the
13 known safety profile of the individual drug
14 components and did not differ based on PD-L1
15 status. Overall, there's a positive benefit-risk
16 profile in all PD-L1 positive subgroups.

17 Thank you. I will now turn it over to
18 Dr. Kelly for his clinical perspective.

19 **Applicant Presentation - Ronan Kelly**

20 DR. KELLY: Thank you very much, Dr. Walker.

21 It's a real pleasure to be here. My name is
22 Dr. Ronan Kelly. I'm the Director of the

1 Charles A. Sammons Cancer Center at Baylor
2 University Medical Center in Dallas, Texas, and I'm
3 the Chief of Oncology for the Baylor Scott and
4 White Health system, which is the largest
5 not-for-profit health system in Texas. I am a paid
6 consultant for BMS.

7 The Baylor Scott and White Health system has
8 51 hospitals throughout the state of Texas and
9 13 dedicated cancer centers, which represents one
10 of the largest Commission on Cancer network of
11 cancer hospitals in the United States. As such, I
12 have exposure to treatment patterns both in
13 academic and in a community setting and in both
14 urban and rural areas alike; therefore, I can see
15 the challenges that exist for both medical
16 oncologists and pathologists with regards to PD-L1
17 testing and PD-L1 interpretation for
18 esophagogastric cancers in real-world treatment
19 settings.

20 Esophageal squamous cell carcinoma is truly
21 an orphan disease in the United States. If you
22 look at the SEER data, approximately 14,000

1 patients were diagnosed with ESCC in the U.S. over
2 an 11-year period, so just over a thousand patients
3 per year, which translates into very few patients
4 being seen by doctors across the country.

5 Unfortunately, the majority of these patients are
6 diagnosed with advanced disease.

7 Historically, the breakdown between
8 esophageal adenocarcinoma and esophageal squamous
9 cell carcinoma was 70 percent and 30 percent,
10 respectively, but recent epidemiological data
11 indicates that ESCC is decreasing in the United
12 States because of falling smoking rates throughout
13 this country. Treatment recommendations,
14 therefore, in my opinion, should be kept as simple
15 as possible for this orphan disease, and I agree
16 with the NCCN guidelines that continue to recommend
17 treatment for this disease regardless of PD-L1
18 expression.

19 Unfortunately, very few patients with this
20 disease go beyond first-line treatment. The data
21 shows that approximately 70 percent of ESCC
22 patients receive first-line treatment but less than

1 a quarter of our patients, only 23 percent, make it
2 to the second-line setting. That's an enormous
3 drop-off, and it indicates we should not be waiting
4 to give our best treatment options in the
5 second-line setting or third-line setting. In
6 fact, less than 8 percent of these patients make it
7 to the third-line setting.

8 If you look at the clinical trial data from
9 CHECKMATE-648, which includes patients who
10 historically would have a better performance status
11 than real-world patients, less than 50 percent of
12 those make it to the second-line setting. It is my
13 opinion that a PD-1 inhibitor plus chemotherapy, or
14 the combination of nivolumab plus ipilimumab for
15 patients who may decline chemotherapy or who may be
16 considered by their oncologist to be too frail for
17 chemotherapy, represents breakthrough treatment
18 options for patients with ESCC.

19 It's very important for the panel to
20 understand that ESCC is biologically different from
21 the disease we spoke about this morning. This is
22 not gastric cancer and it's not gastroesophageal

1 junction adenocarcinoma. These are two very
2 different diseases. Notably, ESCC is also regarded
3 as more immunogenic than the adenocarcinoma
4 histology. You can see the cancer genome data on
5 the right there showing that ESCC, which is
6 highlighted in red, is genomically similar to
7 squamous cell head and neck cancers, and the
8 location, as you can see by the figure, also
9 indicates this tumor occurs much more proximally in
10 the esophagus, which leads to significant more
11 dysphagia and significant problems for our
12 patients.

13 Recent data from other large phase 3 trials,
14 which we won't discuss today, around the world
15 continue to demonstrate the efficacy of IO regimens
16 in ESCC, even in patients with low PD-L1
17 expression. PD-L1 in esophageal squamous cell
18 carcinoma is likely not the only factor influencing
19 response here. ESCC develops in a chronically
20 inflamed tumor microenvironment dominated by
21 exhausted T cells and suppressive cell populations.

22 Another challenge that's different here than

1 what we talked about this morning is, in these
2 patients, to relieve their discomfort, to improve
3 their calorific intake, we often often give them
4 palliative radiation to improve their ability to
5 eat. Many of these patients struggle to even
6 swallow their own saliva. When we offer them
7 radiation, what we do is we upregulate PD-L1.

8 We talked this morning about the dynamic
9 nature of this biomarker. So when we offer
10 palliative radiation, we are changing the PD-L1
11 status of that patient; therefore, spatial and
12 temporal heterogeneity may be even more problematic
13 in this disease where radiation is the norm, and
14 it's also not safe to continue to repeat endoscopic
15 biopsies post-radiation, so the ability to do
16 longitudinal biopsies as discussed this morning is
17 not feasible in this situation.

18 In terms of PD-L1 testing, we know the
19 majority of patients are tested, about 60 percent,
20 and the vast majority of centers are utilizing CPS
21 with less than 5 percent of centers utilizing TPS
22 alone in this setting. We heard this morning,

1 again, the reality, PD-L1 is imperfect, and I'm not
2 going to get into that again. All those reasons
3 were discussed previously. Furthermore, ESCC has
4 very high PD-L1 expression at baseline with about
5 90 percent of tumors expressing measurable PD-L1 by
6 CPS.

7 So in conclusion, it's my opinion that
8 maintaining the current indication in this disease
9 setting is appropriate. The biology of the disease
10 is different from gastric and gastroesophageal
11 junction adenocarcinomas. PD-L1 may be not as
12 important in this disease setting, which is
13 dominated by other immunosuppressive phenotypes.
14 Unfortunately, only about 25 percent of our
15 patients even make it to the second-line treatment
16 setting, so it's important to give our best
17 treatment options upfront, and this would include
18 nivolumab with chemotherapy or a chemo-free option
19 with nivolumab plus ipilimumab.

20 At the present time, we do not require
21 testing for ESCC as per the NCCN guidelines for
22 many of the reasons that I've explained and the

1 high prevalence of PD-L1 expression in this disease
2 setting. If a restriction is required, then PD-L1
3 positivity by any FDA-approved measure makes the
4 most sense in clinical practice. Thank you very
5 much, and I'll now turn it back to Dr. Waxman to
6 conclude.

7 **Applicant Presentation - Ian Waxman**

8 DR. WAXMAN: Thank you, Dr. Kelly.

9 As I turn to review our proposed options for
10 labeling, I'd first like to reiterate that this is
11 an important issue with more than one potential
12 solution. The FDA has asked you to consider
13 whether the benefit-risk assessment is favorable in
14 the ESCC patients with PD-L1 of less than 1.
15 Regardless of how you answer that question, there
16 are still two important options to consider.

17 The first option is to modify the indication
18 based on PD-L1 positivity by any approved test,
19 reserving treatment for those more likely to
20 benefit and allowing for potential harmonization
21 across the class. The second option is to keep the
22 current indication with details regarding the

1 impact of PD-L1 expression remaining in Section 14
2 of the label. This provides clarity regarding the
3 impact of PD-L1 on outcomes while providing an
4 opportunity for all patients to receive
5 immunotherapy, especially important given that most
6 ESCC patients will be PD-L1 positive.

7 We believe that both of the proposed options
8 are reasonable, although leaving the indication as
9 it's written today provides the most flexibility
10 for patients. Thank you once again for your time
11 and attention.

12 DR. LIEU: Thank you.

13 We'll take another 10-minute break to allow
14 for the next presentation to set up. Panel
15 members, please remember there should be no
16 discussion of the meeting topic during the break
17 amongst yourselves or with any other member of the
18 audience. We will return at 2:40 p.m.

19 (Whereupon, at 2:30 p.m., a recess was taken,
20 and meeting resumed at 2:40 p.m.)

21 DR. LIEU: Welcome back, everybody. We will
22 now proceed with our third presentation from

1 BeiGene.

2 **Applicant Presentation - Mark Lanasa**

3 DR. LANASA: Good afternoon, everyone. My
4 name is Mark Lanasa, and I'm the Chief Medical
5 Officer for Solid Tumors at BeiGene. I again want
6 to thank the FDA, the chair, and the members of the
7 committee for the opportunity to share our
8 tislelizumab results in this important discussion
9 of squamous cell esophageal cancer.

10 This afternoon, I will review the results
11 from our pivotal study, RATIONALE-306, in squamous
12 histology esophageal cancer and share additional
13 subgroup analyses to explore a potential
14 relationship between PD-L1 expression and survival.
15 I will then ask Dr. Uboha to provide her clinical
16 perspective on the use of PD-1 inhibitors in
17 patients with the ESCC. We also have additional
18 experts with us today to help to address your
19 questions.

20 In 2018, we initiated the global, pivotal
21 phase 3 RATIONALE-306 study evaluating the efficacy
22 and safety of tislelizumab combined with

1 chemotherapy versus placebo and chemotherapy as
2 first-line treatment for patients with locally
3 advanced unresectable or metastatic squamous
4 histology esophageal cancer, which I will refer to
5 as ESCC. Study 306 met the primary endpoint of
6 overall survival in the ITT population at a
7 prespecified interim analysis in February of 2022.
8 Our BLA for this indication was submitted on
9 July 18, 2023 and is currently under review.

10 On March 14, 2024, tislelizumab was approved
11 by the FDA to treat patients with unresectable or
12 metastatic ESCC after prior systemic therapy that
13 did not include a PD-1 inhibitor, based on the
14 results of the global phase 3 RATIONALE-302 study.
15 In this study, tislelizumab prolonged overall
16 survival as monotherapy when compared to
17 investigator choice of available chemotherapies.

18 Overall, results from our pivotal study show
19 that first-line treatment with tislelizumab in
20 combination with chemotherapy offers substantial
21 benefit in overall survival. Tislelizumab in
22 combination with chemotherapy produced

1 statistically significant and clinically meaningful
2 improvement in OS, as well as improvements in
3 progression-free survival, objective response rate,
4 and duration of response in the overall population.
5 Tislelizumab also showed an acceptable safety
6 profile across a broad population of patients with
7 unresectable advanced or metastatic esophageal
8 squamous cell carcinoma. Finally, analyses across
9 PD-L1 expression levels show that the benefit of
10 tislelizumab plus chemotherapy in patients with
11 locally advanced or metastatic ESCC is most
12 favorable among patients with a PD-L1 score greater
13 than or equal to 1 percent.

14 Now, I would like to review our study design
15 and key results. RATIONALE-306 is a global,
16 randomized , double-blind, placebo-controlled study
17 in 649 patients with a histologically confirmed
18 diagnosis of esophageal squamous cell carcinoma
19 with either metastatic or locally advanced disease
20 that was not amenable to curative intent surgery or
21 chemo radiation. Patients with adenocarcinoma were
22 not eligible for the study. Stratification factors

1 included geographic region, whether the patient
2 received prior curative intent therapy, and the
3 investigators choice of chemotherapy. All patients
4 were required to have at least one evaluable lesion
5 per RECIST version 1.1, an ECOG performance status
6 of 0 or 1, as well as adequate organ function and
7 nutritional status.

8 Patients were randomized 1 to 1 to receive
9 tislelizumab 200 milligrams administered
10 intravenously every 3 weeks, or matching placebo,
11 until disease progression or unacceptable toxicity.
12 Both treatment arms were administered in
13 combination with the investigator's choice of
14 standard chemotherapy, including a platinum agent
15 combined with either fluoropyrimidine or
16 paclitaxel.

17 The primary endpoint of RATIONALE-306 was
18 overall survival in the ITT analysis set.
19 Additional secondary endpoints such as
20 progression-free survival, objective response rate,
21 duration of response, and safety were also
22 evaluated. Overall survival was tested

1 hierarchically in the PD-L1 greater than or equal
2 to 10 percent subgroup, defined as a PD-L1 score as
3 assessed using the SP263 assay following the TAP
4 scoring algorithm. Note that OS testing in the
5 PD-L1 positive subgroup was a secondary endpoint
6 and was tested after ITT analyses of both PFS and
7 ORR. Because the requirement for PD-L1 testing was
8 added via a protocol amendment and because central
9 testing was retrospective, a small proportion of
10 patients do not have an available PD-L1 score.

11 Next, I will share baseline demographics and
12 disease characteristics. Overall, baseline
13 demographics were generally balanced between
14 treatment arms. The median age was 64 years, and
15 87 percent of the participants were male,
16 consistent with the epidemiology of ESCC. The
17 majority of patients were enrolled in East Asia,
18 which is also consistent with the global
19 epidemiology of ESCC, with the remaining 25 percent
20 of patients enrolled in Europe, Australia, and the
21 United States. As was the case with our study in
22 gastric cancer that I presented this morning,

1 enrollment in the United States became infeasible
2 after top-line results from the pembrolizumab study
3 presented today became available.

4 Similarly, baseline disease characteristics
5 were also generally balanced and representative of
6 the target patient population. The median time
7 from initial diagnosis was approximately 2 months.
8 Most patients had metastatic disease at study entry
9 and 44 percent of patients had prior curative
10 intent therapy. Approximately two-thirds of
11 patients had an ECOG performance status of 1. In
12 total, 34 percent of patients had a baseline PD-L1
13 score greater than or equal to 10 percent,
14 49 percent of patients had a PD-L1 score less than
15 10 percent, and approximately 16 percent had an
16 unknown PD-L1 status.

17 RATIONALE-306 met the primary endpoint of
18 overall survival in the ITT population.

19 Tislelizumab plus chemotherapy was superior to
20 placebo plus chemotherapy with a statistically
21 significant 34 percent reduction in the risk of
22 death and a clinically meaningful improvement in

1 median OS of 6.6 months. Upon visual inspection,
2 you can observe that the Kaplan-Meier curves
3 separated early and maintained separation
4 throughout the period of follow-up.

5 The benefit observed in overall survival is
6 supported by the secondary endpoints. Patients
7 treated with tislelizumab and chemotherapy had
8 longer PFS with a statistically significant and
9 clinically relevant 38 percent reduction in the
10 risk of progression or death. Median PFS was
11 extended by 1.7 months. Objective response rate
12 also showed a statistically significant and
13 clinically relevant benefit favoring tislelizumab.
14 The absolute difference in response rate was
15 20.2 percent with an odds ratio of 2.31. The
16 duration of response was also extended in the
17 tislelizumab plus chemotherapy arm.

18 To further assess the clinical benefit in
19 patients with PD-L1 low expression, we conducted
20 several additional subgroup analyses across the
21 range of PD-L1 expression levels. Here, we show a
22 forest plot of overall survival across various

1 PD-L1 subgroups at the interim primary analysis
2 data cutoff date. Although a PD-L1 score of 10
3 percent was the prespecified cutoff for efficacy
4 analysis, in ESCC, we do not observe meaningful
5 differentiation and treatment effect on overall
6 survival above or below 10 percent; therefore, we
7 next evaluated additional lower cutoff scores for
8 predictive treatment effect. At a cutoff of 5
9 percent, a differential effect is observed, but
10 this apparent effect is potentially driven by the
11 underperformance in the PD-L1 less than 1 percent
12 group.

13 To further explore the potential
14 relationship between PD-L1 score and overall
15 survival, we are now showing a forest plot of the
16 overall survival hazard ratio within the specific
17 PD-L1 subgroups. Please note again that the PD-L1
18 score is unknown in 16 percent of the ITT
19 population and that some of the subgroups presented
20 are quite small.

21 First, we observe a particularly strong
22 treatment effect in the 5 to 10 percent group,

1 which further supports our position that above or
2 below 10 percent is not an appropriate cutoff for
3 patient selection. While we acknowledge that the
4 hazard ratio is greater than 1 in the PD-L1 less
5 than 1 percent group, the control arm in this
6 subgroup is very small, only 25 patients. I will
7 show in a subsequent slide that median overall
8 survival in this subgroup is 16.1 months, and this
9 result appears to be random high bias. That said,
10 we acknowledge that a favorable benefit-risk is not
11 established in the subgroup.

12 Turning to the 1 to 5 percent group, this
13 group comprises approximately 20 percent of the
14 total population, and therefore merits careful
15 consideration. At the time of the primary
16 analysis, the hazard ratio in this subgroup was
17 0.93. Here, we are showing the same forest plot
18 with more mature data. These data are from the
19 3-year follow-up and have a data cutoff date
20 approximately 20 months after the interim analysis.
21 We believe that these longer term data provide a
22 more robust assessment of effect sizes in small

1 subgroups given the greater data maturity.

2 The hazard ratio in the ITT population
3 increased slightly from 0.66 to 0.70, but the
4 trends are essentially identical and the median
5 improvement in overall survival of 6.6 months is
6 maintained with longer follow-up. With additional
7 follow-up, we observed that the median overall
8 survival in the 1 to 5 percent group improved to
9 0.86. At the 3-year follow-up in this subgroup, we
10 observed immediate improvement in overall survival
11 of 3.4 months supported by favorable trends in
12 progression-free survival and objective response
13 rate. We believe outcomes in the 1 to less than
14 5 percent group to be clinically meaningful and
15 thus propose that a cutoff value of 1 percent is
16 most appropriate for patient selection in this
17 indication.

18 Next, I would like to review median overall
19 survival across all of the subgroups presented with
20 3 years of follow-up. At the proposed cutoff of
21 greater than or equal to 1 percent, the median
22 benefit in overall survival conveyed by the

1 addition of tislelizumab to standard of care
2 chemotherapy is 7.2 months.

3 Now, I will briefly review safety. Overall,
4 the AE profiles observed for tislelizumab plus
5 chemotherapy in the first-line setting were similar
6 to the known safety profile of chemotherapy and of
7 tislelizumab in the expected symptoms of ESCC. The
8 overall trends in the safety data set are
9 consistent in the overall safety data set and in
10 the PD-L1 greater than or equal to 1 percent group.

11 As expected, immune-mediated adverse events
12 are more frequent in patients receiving
13 tislelizumab than in those receiving chemotherapy
14 alone. Grade 3 or greater AEs and AEs leading to
15 dose modification were similar between groups.
16 Similar to the data presented this morning for
17 gastric cancer, there is an increase of the rate of
18 AEs leading to discontinuation and SAEs in the
19 tislelizumab-containing arm.

20 In this tornado plot, we are showing the
21 treatment-emergent adverse events of any grade in
22 PD-L1 greater than or equal to 1 percent subgroup

1 occurring in 20 percent or greater of patients.
2 The majority of adverse events are commonly
3 observed in this disease with the chemotherapy
4 component. As was the case with gastric cancer,
5 there is no clear trend of increase of individual
6 AEs or AE severity with the addition of
7 tislelizumab .

8 To summarize, the primary analysis of
9 RATIONALE-306 showed that the addition of
10 tislelizumab to chemotherapy provided substantial
11 improvement in overall survival with a hazard ratio
12 of 0.66 and 6.6 months of incremental OS benefit at
13 the median. This benefit was observed across all
14 PD-L1 subgroups, with the exception of the less
15 than 1 percent group. Secondary endpoints also
16 showed clinically meaningful benefit. The safety
17 profile was manageable and generally consistent
18 across the range of PD-L1 expression. Based on the
19 overall data set, benefit-risk is most favorable in
20 the PD-L1 greater than or equal to 1 percent group.

21 Overall, we conclude the totality of data
22 supports tislelizumab for the frontline treatment

1 of patients with unresectable advanced or
2 metastatic ESCC. Thank you, and I'd now like to
3 invite Dr. Uboha to provide her clinical
4 perspective.

5 **Applicant Presentation -- Nataliya Uboha**

6 DR. UBOHA: Thank you.

7 Good afternoon, and thank you for the
8 opportunity to address the panel again. I've been
9 compensated for my travel but not for my time in
10 preparing for today's meeting.

11 Let me start with a brief background on
12 esophageal cancer. Patients diagnosed with
13 advanced esophageal squamous cell carcinoma have
14 poor prognosis and limited treatment options. In
15 the U.S., this is a rare tumor with declining
16 incidence and comprising roughly less than
17 1 percent of all cancers. Patients with advanced
18 esophageal squamous cell carcinoma have a 5-year
19 survival rate of only 6 percent, which is one of
20 the lowest rates among all cancer types. These
21 patients are generally older and have other
22 comorbidities. Additionally, many patients have

1 extensive disease-related symptoms. They have
2 trouble eating, struggle with weight loss, and have
3 significant pain.

4 Over the last few years, we've seen that
5 treatment with anti-PD-1 antibodies in combination
6 with chemotherapy can significantly prolong overall
7 survival for these patients. In my practice, which
8 is not different for most other experts, PD-L1
9 testing is done for all patients with advanced
10 gastroesophageal cancers regardless of histology
11 unless tissue is unavailable. About 90 percent of
12 patients with esophageal squamous cell carcinoma
13 have PD-L1 positive tumor.

14 As a clinician who treats these patients,
15 here's my interpretation of the results.
16 Tislelizumab improved overall survival in the
17 RATIONALE-306 study across the intent-to-treat
18 population with a hazard ratio of 0.66, which was
19 maintained at 3 years. There were very few
20 patients who had tumors with PD-L1 TAP score of
21 less than 1, only about 9 percent of the population
22 enrolled in the 306 trial. The outcomes of these

1 patients are based on the post hoc exploratory
2 analysis in this study and the numbers are too
3 small to be statistically reliable. Nevertheless,
4 in the pooled analysis shared by the FDA, the
5 hazard ratio in this subgroup across studies is
6 1.1.

7 In my clinical practice, I offer treatment
8 with anti-PD-1 agents in combination with
9 chemotherapy to patients whose tumors have PD-L1
10 score of 1 or greater. I feel this is a clinically
11 relevant PD-L1 expression threshold, and I would
12 urge the committee to recommend a unified PD-L1
13 cutoff of 1 or greater across PD-1 inhibitors.
14 Thank you for your time.

15 DR. LIEU: Thank you so much.

16 We will now proceed with FDA's
17 presentations, starting with Dr. Geetika
18 Srivastava.

19 **FDA Presentation - Geetika Srivastava**

20 DR. SRIVASTAVA: Thank you.

21 Good afternoon, everyone. My name is
22 Geetika Srivastava. I'm a hematologist/medical

1 oncologist and a clinical reviewer in the Division
2 of Oncology 3 at the FDA. The FDA is convening
3 this ODAC meeting to discuss the risk-benefit of
4 the use of immune checkpoint inhibitors for the
5 first-line treatment of patients with unresectable
6 or metastatic esophageal squamous cell carcinoma at
7 different levels of PD-L1 expression. The members
8 of the FDA team are listed on this slide.

9 As discussed in the presentation by
10 Dr. Casak, the current label of immune checkpoint
11 inhibitors approved in first-line ESCC are agnostic
12 of PD-L1 testing results. These were based on the
13 intention-to-treat population enrolled in the
14 pivotal studies. At the time of approval of
15 pembrolizumab in 2021 and nivolumab in 2022, less
16 was known about PD-L1 as a predictive biomarker in
17 ESCC, and given the exploratory nature and small
18 sample sizes of the subgroups, FDA did not restrict
19 labeling based on PD-L1 status, based on the
20 results of each individual trial. Results are now
21 available across multiple trials that make
22 inferences based on these subgroups more reliable

1 and provide a framework to discuss the adequacy of
2 PD-L1 expression as a predictive biomarker for
3 patient selection.

4 I will review the data from the individual
5 studies that led to the approval of pembrolizumab
6 and nivolumab in this setting; the data submitted
7 to support potential approval of tislelizumab for
8 the same indication; and the FDA patient-level
9 pooled analysis from these studies in ESCC.

10 There now appears to be a consistent pattern
11 across three available trial data sets that the
12 overall efficacy of immune checkpoint inhibitors in
13 this setting is driven predominantly by PD-L1 high
14 subgroups with a concern for lack of benefit in
15 tumors with low PD-L1 expression, particularly
16 those with PD-L1 less than 1. FDA believes a
17 contemporary risk-benefit discussion evaluating the
18 available data is required to further define the
19 indication of anti-PD-1 antibodies based on PD-L1
20 expression in patients with ESCC.

21 The three individual study designs have
22 already been outlined today and the schema

1 presented within the FDA briefing document. Before
2 we review the efficacy results from each individual
3 study, this table provides the key features of the
4 three pivotal studies, KEYNOTE-590 for
5 pembrolizumab, CHECKMATE-648 for nivolumab, and
6 RATIONALE-306 for tislelizumab.

7 KEYNOTE-590 enrolled patients with
8 esophageal and GE-junction carcinoma irrespective
9 of histology. Overall, 73 percent of the enrolled
10 patients in KEYNOTE-590 had esophageal squamous
11 cell carcinoma. Both CHECKMATE and RATIONALE only
12 enrolled patients with squamous cell histology
13 ESCC. All three trials allowed for patients to
14 enroll regardless of the tumor PD-L1 expression.

15 The tests used for determining PD-L1
16 expression were different for each trial. KEYNOTE-
17 590 used combined positive scores CPS, CHECKMATE
18 used TPS with a preplanned retrospective analysis
19 for CPS, and RATIONALE-306 used a visually
20 estimated CPS, also known as tumor area positivity
21 TAP. Only CHECKMATE-648 had PD-L1 expression as a
22 prespecified stratification factor. The primary

1 endpoint and the prespecified PD-L1 cutoff used in
2 each study are listed here. In summary, each trial
3 used a different assay for the assessment of PD-L1
4 expression, different scoring algorithm, and
5 different primary endpoint based on PD-L1 cutoff.

6 The results of the three studies were
7 statistically significant for overall survival
8 analyses as prespecified in the statistical plan.
9 The hazard ratio for the ITT population across all
10 three trials ranged from 0.66 to 0.74. In the
11 prespecified PD-L1 positive subgroups, which was
12 CPS 10 or greater for KEYNOTE, TPS 1 or greater for
13 CHECKMATE, and TAP 10 or greater for RATIONALE, the
14 magnitude of benefit was higher, with hazard ratio
15 ranging from 0.54 to 0.62.

16 To study the adequacy of PD-L1 expression as
17 a predictive biomarker for use of immune checkpoint
18 inhibitors in this setting, FDA conducted
19 patient-level analyses of the three randomized
20 studies in the relevant population of ESCC. For
21 uniformity of comparison between the three studies,
22 the ESCC modified population consisted of only

1 patients with ESCC histology who received
2 immunotherapy in combination with chemo versus
3 chemo alone. Patients with adenocarcinoma or those
4 on the nivo/ipi arm in CHECKMATE were excluded.

5 This population therefore differed from the
6 ITT population. For KEYNOTE-590, 548 patients were
7 identified, excluding 201 with adenocarcinoma. For
8 CHECKMATE, there were 629 patients identified,
9 excluding 16 with non-squamous histology and 325 on
10 the nivo/ipi arm. For RATIONALE, there were
11 648 patients, and one patient was excluded for
12 non-squamous histology. Please note, for the
13 remainder of the FDA presentation, we will focus on
14 this ESCC modified population.

15 This table summarizes the key demographic
16 and disease characteristics of these patients. In
17 general, the characteristics of patients across
18 trials were comparable. Two-thirds to
19 three-fourths of the enrolled patients were Asian.
20 Not shown here, however, relevant to the discussion
21 today, there was one known MSI high patient
22 enrolled in RATIONALE and none in KEYNOTE; however,

1 the MSI status of tumors for most patients in these
2 trials was unknown.

3 The proportion of patients at PD-L1 cutoffs
4 across the three studies is depicted in the bar
5 graph here. This distribution is based on CPS for
6 KEYNOTE-590 and CHECKMATE-648, and TAP for
7 RATIONALE-306. The proportion of tumors that were
8 PD-L1 less than 1 was similar across studies,
9 ranging from 8 to 10 percent.

10 We will now review the efficacy results of
11 the ESCC modified population. Again, those are
12 patients with ESCC histology who received
13 chemotherapy in combination with immunotherapy.
14 These are the overall survival results. The
15 overall survival appears to be favorable across all
16 three trials, and this appears to be generally
17 consistent with the results of the ITT population,
18 with hazard ratio around 0.7.

19 In the following slides, I will present the
20 FDA analysis of overall survival data for each
21 study for the ESCC modified population based on
22 PD-L1 cutoffs. FDA acknowledges the limitations of

1 small sample size and exploratory nature of these
2 subgroup analyses.

3 This is the forest plot for overall survival
4 in ESCC for KEYNOTE-590. Analysis using the
5 prespecified PD-L1 cutoff of CPS 10 or higher, same
6 as the ITT, was performed. This represented
7 52 percent of the ESCC. Improvement in survival
8 with addition of pembrolizumab to chemo appears to
9 be of greater magnitude for CPS 10 or greater, with
10 hazard ratio 0.57; whereas in patients with CPS
11 less than 10, the hazard ratio is 0.95; and in
12 patients at CPS less than 1, the hazard ratio is 1,
13 which appears to suggest no benefit.

14 For CHECKMATE-648, analysis using the
15 prespecified PD-L1 cutoff of 1 or higher, same as
16 the ITT, was performed. This represented
17 87 percent of the ESCC population when using CPS.
18 The hazard ratio for CPS 1 or greater was 0.69,
19 while again in the subgroup of CPS less than 1,
20 there appears to be marginal or no benefit with
21 hazard ratio at 0.93. Not shown here, results of
22 analysis and TPS less than 1 were concordant as

1 well, and the hazard ratio was 0.96.

2 For RATIONALE-306, analysis using the
3 prespecified TAP cutoff of 10 or higher, same as
4 the ITT, was performed. This represented
5 34 percent of the population. Improvement in
6 survival with addition of tislelizumab to chemo
7 appears to be of greater magnitude for TAP 10 or
8 greater, with hazard ratio of 0.66; however, in
9 patients with TAP less than 10, the point estimate
10 is 0.76. As the point estimate for the subgroup of
11 TAP 1 to 10 is favorable at 0.65, the attenuation
12 and benefit in TAP less than 10 subgroup is likely
13 driven by patients with TAP less than 1, where
14 there's a potential for detriment with a hazard
15 ratio 1.34.

16 FDA acknowledges the limitations of small
17 sample size and the exploratory nature of the
18 subgroups. In spite of the heterogeneity amongst
19 different trials, use of different PD-L1 assays and
20 testing algorithm, as well as different
21 prespecified PD-L1 cutoff for overall survival, in
22 this FDA's patient-level analysis, over three

1 independently conducted trials, it appears that the
2 overall efficacy of anti-PD-1 in this setting is
3 driven predominantly by PD-L1 high subgroup as
4 defined by each study; and regardless of the method
5 used to determine PD-L1 expression, there is
6 replication of results over three trials and a
7 consistent pattern, suggesting lack of benefit in
8 PD-L1 less than 1 tumors.

9 Seen another way, the lack of benefit in
10 PD-L1 less than 1 is further exemplified by these
11 Kaplan-Meier survival curves. The figure on the
12 top is for PD-L1 1 or greater, showing separation
13 of curves; however, in the bottom figure, in PD-L1
14 less than 1, the curves overlap for KEYNOTE-590 and
15 CHECKMATE-648 and, in fact, are reversed for
16 RATIONALE-306.

17 In addition to looking at trials separately
18 using the modified ESCC population, FDA conducted
19 an exploratory pooled analyses of patients from all
20 three studies in the ESCC population stratified by
21 study. This pooled analysis included patient-level
22 data, and was therefore limited to studies that

1 were submitted to the FDA for review. This does
2 not include data from other pivotal studies, either
3 positive or negative, and can thus introduce bias.

4 For pooling of data, PD-L1 expression was
5 based on CPS for KEYNOTE and CHECKMATE, and TAP for
6 RATIONALE. For uniformity of comparison in ESCC of
7 immunotherapy plus chemo versus chemo, as shown in
8 the Consort diagram, patients with non-squamous
9 histology and those on nivo/ipi arm in CHECKMATE
10 were excluded. A total of 1825 patients were
11 pooled; 910 received immunotherapy in combination
12 with chemo and 915 only chemo.

13 In spite of limitations of pooling of data,
14 FDA believes that a pooled analysis of
15 patient-level data may provide the advisory
16 committee with additional context to discuss the
17 risk-benefit of anti-PD-1 antibodies in
18 relationship with PD-L1 expression in this patient
19 population.

20 This is the forest plot for overall survival
21 results. There appears to be a benefit in the
22 overall population with addition of immunotherapy

1 to chemo as compared to chemo alone, with hazard
2 ratio of 0.71 indicated in the green arrow. The
3 magnitude of benefit appears to increase with
4 increasing PD-L1 expression, as seen by improving
5 hazard ratios, PD-L1 of 1 or greater 0.68, PD-L1 of
6 10 or greater hazard ratio of 0.61. The magnitude
7 of benefit appears to attenuate at lower PD-L1
8 expressions with hazard ratio for PD-L1 less than
9 10 at 0.82.

10 The hazard ratio in patients with PD-L1
11 between 1 and 10 is 0.77, consistent with the
12 potential for benefit in these patients; however,
13 again, there does not appear to be a benefit in
14 patients with PD-L1 less than 1 with hazard ratio
15 of 1.1. Like the independent trial results, the
16 FDA pooled analyses shows that patients with higher
17 PD-L1 expression benefit most, while those that are
18 PD-L1 less than 1 appear not to be benefiting.

19 In summary, the current approvals of immune
20 checkpoint inhibitors in ESCC are agnostic of PD-L1
21 status. As previously stated, at the time of
22 approval of pembrolizumab in 2021 and nivolumab in

1 2022, less was known about PD-L1 as predictive
2 biomarker in ESCC, and given the exploratory nature
3 and small sample size of the subgroups, FDA did not
4 restrict labeling based on PD-L1 status, based on
5 the results of each individual study.

6 However, consistently across three
7 applications, efficacy appears to be predicted by
8 PD-L1 expression. Patients with tumor PD-L1 10 or
9 greater appear to have the greatest magnitude of
10 benefit. In patients with intermediate PD-L1
11 expression between 1 and 10, there may be a
12 potential for benefit, but those with PD-L1 less
13 than 1 do not seem to benefit.

14 Importantly, we know immune checkpoint
15 inhibitors can have added toxicity, and treating
16 patients with PD-L1 less than 1 with immune
17 checkpoint inhibitors may expose them to toxicity
18 without a clear benefit. FDA has provided both
19 prespecified and exploratory analyses of the
20 efficacy across a range of PD-L1 expression levels
21 and stated the notable caveats. FDA is concerned
22 with the lack of benefit observed in patients with

1 ESCC and PD-L1 less than 1. In light of these
2 findings, FDA feels that this topic warrants a
3 contemporary discussion on the risk-benefit profile
4 of immune checkpoint inhibitors in a
5 biomarker-selected patient population.

6 FDA would like the committee to discuss the
7 risk and benefit of the treatment with anti-PD-1
8 antibodies for the first-line treatment of patients
9 with metastatic or unresectable esophageal squamous
10 cell carcinoma for PD-L1 less than 1. Following
11 the discussion, we would like the committee to
12 vote, is the risk-benefit assessment favorable for
13 the use of anti-PD-1 antibodies in the first-line
14 unresectable or metastatic esophageal squamous cell
15 carcinoma at PD-L1 less than 1? Thank you.

16 **Clarifying Questions**

17 DR. LIEU: Thank you.

18 We will now take clarifying questions to the
19 presenters. When acknowledged, please remember to
20 state your name for the record before you speak and
21 direct your question to a specific presenter, if
22 you can. Again, because we have three applicants

1 here, please direct your question to a specific
2 applicant, if possible. If you wish for a specific
3 slide to be displayed, please let us know the slide
4 number, if possible. And finally, it would be
5 helpful to acknowledge the end of your question
6 with a thank you and end of your follow-up question
7 with, "That is all for my questions," so we can
8 move on to the next panel member.

9 For our panel member joining us virtually,
10 please use the raise-hand icon in Zoom to indicate
11 that you have a question, and we will acknowledge
12 you. Please remember to lower your hand by
13 clicking the raise-hand icon again after you have
14 asked your question.

15 Are there any clarifying questions for the
16 presenters?

17 Dr. Meyerhardt?

18 DR. MEYERHARDT: Jeff Meyerhardt,
19 Dana-Farber. This morning, we spent a fair amount
20 of time talking about the PD-L1 assay. I'm not
21 going to totally bring that up again. My only
22 question is probably to any of the companies with

1 pathology expertise. Are there any differences how
2 we think about the assay for squamous cell
3 carcinoma? Thank you.

4 DR. WAXMAN: Ian Waxman, BMS. I'll have
5 Dr. Anders speak to that.

6 DR. ANDERS: Hi. Robert Anders, Johns
7 Hopkins pathology. I'm compensated for my travel
8 but not my time. These are rare. There are no
9 systematic studies of inter-observer agreement
10 because they're rare. The caveats that I mentioned
11 earlier would all apply here. I would just make
12 the one comment that squamous cancers -- and I'm
13 thinking more head and neck type squamous
14 cancers -- are generally a little bit simpler to
15 score. Thank you.

16 DR. PIETANZA: I'll also have Dr. Chizhevsky
17 answer this question.

18 DR. CHIZHEVSKY: Thank you. Vladislav
19 Chizhevsky, anatomic pathologist. I am a paid
20 consultant to Merck. The scoring component of
21 squamous cell carcinoma tends to be TPS more than
22 CPS, more tumor cell sustaining, so it is simpler

1 to score. But the same variables apply near the
2 cutoff as they are in gastric adenocarcinoma, but
3 being a TPS score is a little simpler. It's more
4 consistent in scoring. Thank you.

5 DR. MEYERHARDT: That's all I have. Thank
6 you.

7 DR. LIEU: This is Chris Lieu from
8 University of Colorado, and this question is to
9 Dr. Kelly but welcome to hear from any of the other
10 experts that have already presented. In regards to
11 the data that BMS showed, showing that only
12 60 percent of patients are receiving some type of
13 PD-L1 scoring, my question is, are the 40 percent
14 not being scored because the approval doesn't
15 require testing for PD-L1, or is there something
16 fundamental about biopsying these patients, which
17 makes it, therefore, then infeasible?

18 Understanding that that 40 percent that's
19 not being tested, that number is always somewhat
20 increased by the fact that patients are not getting
21 tested sometimes because they're not eligible to
22 receive therapy. But I would just like to hear

1 what the practicality is of the biopsy because if
2 this indication changes, it will, therefore, then
3 require biopsies for our patient population.

4 DR. KELLY: Thank you very much. Ronan
5 Kelly from Baylor University Medical Center. That
6 data is from the Flatiron database, which shows
7 40 percent across the country. Now, I think it's
8 important for us to step back and realize the
9 proportion of patients we're talking about. It's
10 very hard to get exact numbers for ESCC in the
11 United States, but I showed this year database of
12 14,000 over 11 years, which is about 1200. The
13 majority of these patients are seen on the coast
14 and very few are seen in the center of the country.

15 So when a patient walks into a community
16 oncologist with this disease, they have no real
17 prior experience of treating these patients. The
18 patients then are incredibly sick. They have, as
19 you know, more significant dysphasia than the
20 distal esophageal or the gastric cancers. So the
21 patients are walking in sick. We know only
22 70 percent even get first-line treatment, so the

1 doctor has an important decision to make. "Do I
2 start trying to biopsy a patient to get a result or
3 do I have to start treatment straight away?" And I
4 think it's clear in this instance, they're choosing
5 to go for the treatment straight away, and I think
6 they're foregoing the biopsy.

7 I think that's an important thing because as
8 we talk about the risk-benefit here for the panel,
9 I don't want us to overlook and be so focused on
10 biomarkers, when the reality on the ground is if we
11 mandate testing where we've never done it before,
12 we may actually decrease the number of patients
13 that get treated, and I don't think that's in our
14 interest. Thank you.

15 DR. LIEU: Dr. Uboha?

16 DR. UBOHA: Nataliya Uboha, University of
17 Wisconsin. I would like to add to this. I
18 absolutely agree with Dr. Kelly that these patients
19 are very sick and that urgent indication of
20 treatment is indicated. Most of the patients, all
21 of the patients, we see in clinic do have biopsies.
22 This is how the diagnosis of cancer is made. All

1 these patients have endoscopy or biopsy of
2 metastatic site. I suspect that, in part, we have
3 biomarker testing because our treatment decisions
4 were not based on biomarkers, so there was no
5 reason to do the testing. I think if we actually
6 demonstrate that we need PD-L1 positivity in the
7 testing and that treatment selection would be based
8 on biomarkers, we will see a lot more testing done
9 in the community.

10 I also think that for our community
11 partners, both medical oncologists and
12 pathologists, if we have unified guidelines
13 regarding histology or regarding stage -- and this
14 is more for reflex testing -- the way we do when we
15 look for mismatch repair protein expression, it is
16 rare to see it, but yet we look in all GI tumors
17 regarding stage, and it will make it easier so that
18 neither our clinicians nor pathologists have to
19 think about it, look at the cutoff, and figure out
20 which antibody to use. We need to make it simple.
21 Another point is all these patients can be started
22 on chemotherapy, and then when PD-L1 testing is

1 ongoing, either nivolumab, tislelizumab,
2 pembrolizumab can be added with cycle 2 day 1.

3 DR. LIEU: Thank you.

4 Comment from --

5 DR. ENZINGER: Sure. Peter Enzinger,
6 medical oncologist, Dana-Farber. I agree with
7 Dr. Kelly. I think many of these patients are
8 extremely sick. Their esophagus is basically
9 failing them, they're unable to eat, they're losing
10 weight, they're getting very weak. We often need
11 to move very quickly.

12 I think the problem is that we often need to
13 make a decision very quickly to start therapy
14 because if we don't, they get weaker, and then they
15 can't tolerate platinum 5FU therapy anymore. So I
16 think that excluding 10 percent of patients from
17 treatment, we're really putting 90 percent of the
18 patients at risk for failing, and I think by
19 removing this 10 percent group, we're actually
20 significantly harming the other 90 percent of the
21 patients that benefit from this immunotherapy.
22 Thank you.

1 DR. LIEU: Thank you.

2 Dr. Sanoff?

3 DR. SANOFF: A question and a comment. I'll
4 start with a comment. I'd like us all to take a
5 step back and understand what Flatiron data tell
6 us. We're seeing patients not getting tested, but
7 we have to be careful not to say why they're not
8 getting tested. And if you look at biomarker
9 testing, for lots of diseases for really important
10 indications, including, I think as we heard, HER2
11 testing in gastric cancer this morning, it's not
12 getting done. That's a quality-of-care issue.
13 That's not necessarily related to what oncologists
14 are testing for based on their treatment decision
15 making, so let's all be cautious about our
16 interpretation of that.

17 To respond and ask Dr. Enzinger actually to
18 come back about this, and Dr. Kelly, you could
19 comment as well, both of you are incredibly
20 experienced and expert clinical trialists and very
21 good scientists. If you were writing a grant with
22 the PD-L1 negative population, would you expect

1 your grant to be funded if it were investigating
2 ongoing immunotherapy in that population; and
3 because of that, should we actually be giving it to
4 these people?

5 DR. LIEU: We'll start with Dr. Enzinger.

6 DR. ENZINGER: Peter Enzinger, medical
7 oncologist, Dana-Farber. I think that's an
8 interesting hypothetical question. I think we
9 clearly need to find better therapies for these
10 patients who have low CPS scoring, and frankly, I
11 do think you should fund me --

12 (Laughter.)

13 DR. ENZINGER: -- if I have something that I
14 can improve upon. I think we all realize that
15 there are limitations to checkpoint inhibitors, and
16 we're all now trying to improve upon the outcomes
17 that we have seen here today. And if I have a
18 treatment that theoretically is going to be better
19 and specifically may address these low CPS scoring
20 patients, I do think that this should be funded,
21 and I think that it actually should have a priority
22 funding because this is a significant unmet need.

1 Thank you.

2 DR. LIEU: Thank you.

3 Dr. Kelly?

4 DR. KELLY: Ronan Kelly, Baylor University
5 Medical Center. Thank you for the comments. If
6 you actually look at the CHECKMATE-648 data, the
7 4-year data is better now. The hazard ratio in the
8 less expressors is 0.85. We always talk about the
9 tail on the curve, and as the data matures, it
10 seems to get better, and I think that's important
11 for us to be aware of.

12 The other thing is there have been newer
13 trials done. We're not talking about all the
14 trials here. As you know, there's been a lot in
15 Asia in the last couple of years, and they are
16 showing in the low expressors, the CPS less than 1,
17 esophageal squamous responses. There was one
18 study, the JUPITER-06, toripalimab, less than 1
19 hazard ratio 0.61. So we're beginning to see this
20 data now, and I think it's playing into the fact
21 that we're seeing benefit in some of those
22 patients, not everyone, but some of those in the

1 lower group. Thank you.

2 DR. LIEU: Dr. Spratt?

3 DR. SPRATT: Thank you. Can you pull up one
4 of the FDA slides, number 11, 6 Kaplan-Meier
5 curves and a table next to it? The question will
6 be, actually, for Dr. Enzinger, if we can get the
7 slide up.

8 DR. CASAK: Is it from the first
9 presentation by Dr. Casak?

10 DR. SPRATT: Yes, and Dr. Kelly, you're free
11 to respond as well.

12 When I look at this and I hear the anecdotes
13 of a patient who gets the combination therapy and
14 has this long response, I also see pretty much
15 overlapping curves here with the chemotherapy arm
16 here. I try to think about the comment you made
17 about 90 percent of patients are benefiting or
18 10 percent that may not. But even in the ones that
19 are benefiting, just to be clear, it's not
20 90 percent of patients are benefiting; there's a
21 small percent that are benefiting over
22 chemotherapy.

1 So even in the PD-L1 less than 10, when I
2 look at 2 year, and let's say we look at 3 year,
3 depending on which trial you look at, you're
4 talking about a couple percentage points, so number
5 needed to treat of 40 to 50. And when you look at
6 the median here of, let's say, PD-L1 less than 1 in
7 terms of the median survival as another metric,
8 you're talking about days. The KEYNOTE study, it's
9 identical. You look at the CHECKMATE study, and it
10 actually appears to be worse, and the same with the
11 last one.

12 I guess I'm just trying to understand what
13 do you mean when you say that 90 percent of
14 patients are benefiting from receiving
15 immunotherapy?

16 DR. ENZINGER: I think that one of the most
17 exciting things about KEYNOTE-590 was when we saw
18 the long-term results of that study, where we see
19 that about 11 percent of the patients who receive
20 chemo and immunotherapy are alive at 5 years;
21 whereas only 3 percent with chemotherapy alone. So
22 I ask you as individuals, if you had esophageal

1 squamous cell carcinoma, would a 1 in 10 chance of
2 being alive at 5 years be worthy of consideration?

3 DR. SPRATT: I completely agree; however,
4 that's the overall trial, and when you look here,
5 you see functionally no difference. So I guess I
6 would say, would you rather take a more expensive
7 agent that increases toxicity for the exact same
8 probability of long-term survival and median
9 survival? And I know what my answer would be.

10 DR. ENZINGER: Well, I just want to add that
11 among those long-term survivors, there were
12 patients, several patients, with squamous cell
13 carcinoma and adenocarcinoma who had CPS scores of
14 less than 1.

15 DR. LIEU: Thank you, Dr. Enzinger.

16 DR. SPRATT: Thank you. I appreciate it.

17 DR. LIEU: Thank you, Dr. Spratt.

18 Dr. Vasani?

19 DR. VASANI: Neil Vasani. This is a question
20 for Dr. Enzinger and Dr. Kelly. Just going back to
21 what you were saying earlier about, first of all,
22 this being a rare disease and these patients being

1 quite sick and infirmed, that even getting a biopsy
2 sometimes can be challenging, are you referring to
3 a second biopsy after the cancer diagnosis has been
4 established or meaning that the first biopsy was
5 inadequate to obtain PD-L1? Can you just clarify
6 what you mean by that?

7 DR. KELLY: Yes. Look, I think it's clear
8 we can get biopsies on patients. The question is,
9 is it the right thing to do when someone comes in
10 that's so sick, who's failing, who's losing weight?
11 And if we offer radiation to that patient, is it
12 safe to try to do a biopsy post-radiation?

13 That wasn't part of the trial, but that's
14 what happens in the community because the patient's
15 so sick they come in, they have to give them
16 something to relieve the discomfort, to open up the
17 esophagus. It's not safe to be just biopsying
18 post-radiation, to put another endoscope in. So
19 there are real-world challenges to getting a biopsy
20 in this setting, which may not have existed in
21 gastric because it's more distal, and it's not the
22 same presentation and the same level of morbidity

1 that the patients are having.

2 DR. VASAN: But presumably in that scenario,
3 they would have gotten some biopsy initially to
4 confirm diagnosis, a mucosal resection or something
5 like that. Or are you talking about people who are
6 just being treated based on their clinical
7 phenotype, they're a smoker, and it's consistent
8 with squamous -- those patients would have had an
9 initial biopsy of some sort; is that correct?

10 DR. KELLY: Yes. As I said, you can get a
11 biopsy, but then is the biopsy enough to make a
12 diagnosis? Was it the right biopsy? All of the
13 issues that play into real-world challenges that we
14 see every day in clinic.

15 DR. VASAN: Do we have any sense -- sorry,
16 Dr. Enzinger.

17 DR. ENZINGER: I just wanted to bring up,
18 Dr. Kelly brings up the frontline patient, the
19 patients who present with this disease. We often
20 have locally advanced patients who, unfortunately,
21 have recurrences. So here I am 2 years later, and
22 the only biopsy I have is from the original

1 diagnosis 2 years earlier. It's an alligator clamp
2 biopsy. Now, 2 years later, they have lung mets,
3 so my choice is, currently, I can go to that
4 biopsy, that alligator clamp biopsy. If it's
5 positive, great, if it's negative, I still have
6 approval. If you remove this approval, I basically
7 now have to show that the current disease is
8 actually CPS positive, and I may actually have to
9 do a lung biopsy, and lung biopsies, as many of you
10 know, are risky. I mean, people die from lung
11 biopsies. So I think it's worthwhile if you want
12 to prove recurrence or if there's an important
13 reason, but to prove that, yes, there's CPS
14 positivity, it may not reach that level, in my
15 mind, for a lot of patients.

16 DR. KELLY: If I could, I'd like to show you
17 a slide on radiation and what it can do in this
18 setting. If we can pull up slide number 1, please?
19 This is from CHECKMATE-577, which I had the
20 privilege of being the global PI for. It's in a
21 different disease setting, but it shows what we
22 think radiation is doing in this setting.

1 What we showed here was we had matched
2 biopsy samples pre-radiation and post-radiation,
3 and you can see on the left the significant change
4 in PD-L1 CPS score post-radiation. This is the
5 only data I'm aware of in a phase 3 setting. This
6 was a retrospective analysis, but it still is
7 interesting to look at. You can see 51 percent of
8 the patients, PD-L1 score changed post chemo
9 radiation. So this is important because we talked
10 about the dynamic nature of this, this morning, but
11 we didn't look at the biology of what we do and
12 does it impact.

13 Here, you can see the TPS score is not
14 changing. We think the TPS score is driven by
15 internal oncogenic signaling, but the CPS score is
16 driven by cytokine release, interferon gamma from
17 the radiation upregulating PD-L1. And if you look
18 at slide number 3, which is a busy slide, what
19 you'll see in this particular study, we showed that
20 if you upregulate PD-L1, which you can see at the
21 top -- this is CPS change -- the hazard ratio went
22 to 0.3 for disease-free survival.

1 So I'm just making the point that when we
2 introduce chemoradiation into these patients, we
3 alter the PD-L1 score, and I'm just not sure it's
4 the right thing to do, to be mandating everyone
5 have a PD-L1 score for a couple of 100 patients,
6 not minimizing the number of patients, but the
7 delay in treatment is a bigger risk factor for me
8 than getting a biopsy.

9 DR. VASAN: Yes, I understand what you're
10 saying. I guess what I'm trying to say is there
11 are two groups of patients. There's one group of
12 patients that had localized disease that then
13 recur; then there's a second group of patients that
14 have de novo metastatic disease. The patients with
15 de novo metastatic disease would have a biopsy to
16 establish their diagnosis, and presumably that
17 could be tested for PD-L1. Of course, there might
18 be issues with that, but I think those are two
19 different groups of people, and we don't
20 necessarily have statistics going into that, that
21 I'm aware of. So I guess what I'm trying to say,
22 this question about the morbidity of a biopsy, that

1 issue doesn't apply to all patients. Thank you.

2 DR. LIEU: Dr. Meyerhardt?

3 DR. MEYERHARDT: Dr. Kelly, before you pull
4 that slide up again, could you clarify what is the
5 post-biopsy? Really, for most of these, we're
6 talking about their metastatic disease, so is that
7 a biopsy of their metastases? Because as you know,
8 we've all hoped and prayed that there's abscopal
9 effect from radiation, and we really don't see
10 that, so I'm just trying to understand what the
11 post-biopsy is.

12 DR. KELLY: Yes. Great question.

13 CHECKMATE-577 was not a metastatic study; it was an
14 adjuvant study, so we had the sample before
15 chemoradiation, and then we had the surgical sample
16 at the time of resection. So they were the two
17 timepoints we were looking at. It's the only data
18 that I'm aware of in a phase 3 setting showing the
19 impact of radiation in esophageal cancer.

20 DR. MEYERHARDT: Thank you.

21 DR. LIEU: Any other questions?

22 (No response.)

1 DR. LIEU: Okay. We'll take a 15-minute
2 break. Panel members, please remember that there
3 will be no discussion of the meeting topic during
4 the break amongst yourselves or with any member of
5 the audience. We will resume at 3:50 p.m.,
6 3:50 p.m. Eastern Time. Thank you.

7 (Whereupon, at 3:34 p.m., a recess was taken,
8 and meeting resumed at 3:50 p.m.)

9 **Open Public Hearing**

10 DR. LIEU: We will now begin the open public
11 hearing session.

12 Both the FDA and the public believe in a
13 transparent process for information gathering and
14 decision making. To ensure such transparency at
15 the open public hearing session of the advisory
16 committee meeting, FDA believes that it is
17 important to understand the context of an
18 individual's presentation.

19 For this reason, FDA encourages you, the
20 open public hearing speaker, at the beginning of
21 your written or oral statement to advise the
22 committee of any financial relationship you may

1 have with the applicant. For example, this
2 financial information may include applicant's
3 payment of your travel, lodging, or other expenses
4 in connection with your participation in the
5 meeting. Likewise, FDA encourages you, at the
6 beginning of your statement, to advise the
7 committee if you do not have such financial
8 relationships. If you choose not to address this
9 issue of financial relationships at the beginning
10 of your statement, it will not preclude you from
11 speaking.

12 The FDA and this committee place great
13 importance in the open public hearing process. The
14 insights and comments provided can help the agency
15 and this committee in their consideration of the
16 issues before them. That said, in many instances
17 and for many topics, there will be a variety of
18 opinions. One of our goals for today is for this
19 open public hearing to be conducted in a fair and
20 open way, where every participant is listened to
21 carefully and treated with dignity, courtesy, and
22 respect.

1 For any presenting virtually, please
2 remember to unmute and turn on your camera when
3 your OPH number is called. As a reminder, please
4 speak only when recognized by the chairperson.
5 Thank you.

6 Speaker number 1, please state your name and
7 any organization you are representing for the
8 record. You have five minutes.

9 MS. MORDECAI: Thank you. I'm Mindy Mintz
10 Mordecai. I am the President, and CEO, and Founder
11 of the Esophageal Cancer Action Network, ECAN.
12 ECAN does receive funding from all three of the
13 applicants. My travel and my presentation here
14 today was not funded by any of them.

15 I don't envy any of you, the decisions you
16 have to make here. You're looking at statistics
17 and numbers, and that's your job, but I come to you
18 today to represent patients and families who are
19 struggling to stay alive in the land of very little
20 hope. Esophageal cancer accounts for 1 percent of
21 cancer diagnosis in the U.S. but 2.6 percent of the
22 cancer deaths. Fewer than 1 out of 17 stage 4

1 patients will survive 5 years. That's less than
2 6 percent.

3 My husband was diagnosed with esophageal
4 cancer when our kids were 6 and 11. He went
5 through punishing chemoradiation and a radical
6 esophagectomy, and then less than 3 months later,
7 he started having pain when he was walking. PET
8 scan showed that he had a 6-centimeter tumor in his
9 liver, mets to his pelvis, ribs, and lungs. We
10 tried some experimental targeted therapies, but we
11 lost him exactly 6 months to the day of his
12 esophagectomy. That was in 2008.

13 I know what it is to stay up all night
14 searching for a study that might save the life of a
15 person you love more than anything in the world.
16 You're always looking for that glimmer of hope.
17 But what I learned very quickly is that esophageal
18 cancer patients have been woefully neglected,
19 especially in the area of research funding and
20 focus, and that's why I started ECAN in 2009.

21 That very next year, the National Cancer
22 Institute drew up a list of 20 cancers for its

1 groundbreaking genome mapping project and
2 esophageal cancer wasn't on the list. ECAN made a
3 very strong push, and we were successful in getting
4 esophageal cancer included in the TCGA, but the
5 reason this was so important to us was that we
6 believed that if esophageal cancer was not included
7 in TCGA, it would be like every other opportunity
8 for progress for our patients. The train would
9 leave the station, and esophageal cancer would be
10 left behind. And we wanted to change that dynamic,
11 but our community had very little faith that
12 progress was possible.

13 We started campaigns to increase research
14 funding, and I had calls from people who said,
15 "You're an idiot. This is never going to happen.
16 Nobody cares about esophageal cancer patients, and
17 they sure aren't going to fund any research for
18 us." That's what happens when you're up against a
19 cancer that's often thought of as a death sentence,
20 and you live in an environment that provides no
21 reason for hope.

22 The approval of immunotherapy for our

1 patients has been our best reason for optimism yet,
2 and I have to say that today, it's demoralizing;
3 that after waiting so long for progress and finally
4 getting it, the powers that be are considering
5 cutting back on access to these therapies for some
6 of our patients.

7 Approval of the proposal before you will
8 take away hope that we've fought really hard to
9 find. We believe that the original FDA approval is
10 the one that's appropriate. We've heard that
11 there's no new data that shows a change in the
12 risk-benefit equation, and then Dr. Kelly talked to
13 us about more mature data that's actually showing
14 much higher benefits. And given the significant
15 questions that have been raised about testing and
16 scoring of PD-L1 expression, it doesn't seem to me
17 like this question is ready for prime time. I
18 don't know why it has to be done now. I think
19 there are a lot of reasons to wait until you have
20 better information and until we have better tests
21 that can actually tell us what is that PD-L1 score
22 that we're looking at and is it reliable, because

1 unreliable tests should not form the basis of
2 whether our patients have hope for the future.

3 So I'm asking you that when you make your
4 decision, you think about whether it's worth giving
5 up the opportunity for somebody to save their life,
6 possibly even for a short enough period for that
7 next discovery to extend their life further; that
8 you don't vote to extinguish their hope. Thank
9 you.

10 **Questions to the Committee and Discussion**

11 DR. LIEU: Thank you so much.

12 This concludes the open public hearing
13 portion of the meeting, and we will no longer take
14 any further comments from the audience.

15 The committee will now turn its attention to
16 address the task at hand, the careful consideration
17 of the data before the committee as well as the
18 public comment. We will now proceed with the
19 questions to the committee and panel discussions.

20 I would like to remind public observers that while
21 this meeting is open for public observation, public
22 attendees may not participate, except at the

1 specific request of the panel. After I read each
2 question, we will pause for any questions or
3 comments concerning its wording.

4 We will proceed with our first question,
5 which is a discussion question. FDA would like the
6 committee to discuss the risks and benefits of the
7 treatment with anti-PD-1 antibodies for the
8 first-line treatment of patients with metastatic or
9 unresectable esophageal squamous cell carcinoma
10 with PD-L1 expression less than 1.

11 Are there any issues or questions with the
12 wording of our discussion question?

13 (No response.)

14 DR. LIEU: Seeing none, we'll go ahead and
15 open the question to discussion, and I'll go ahead
16 and start again. I think that many of our comments
17 are likely going to mirror what we heard this
18 morning.

19 Certainly, what we're looking for are these
20 durable responses, and the consistency that we see
21 in the evidence, of course, is that in the high
22 expressing PD-L1 tumors, we see significant

1 benefit. At 1 to 10, there's some modest activity,
2 but plausible activity, and that's certainly less
3 than 1. We had the same story of lack of overall
4 survival benefit, and I think that we can take a
5 little bit, I guess, of safety knowing that
6 whatever decision that we make here regarding CPS
7 score less than 1 is only going to impact somewhere
8 between 8 to 10 percent of the patient population,
9 so that makes me feel a little bit better.

10 The challenges we've discussed significantly
11 before the PD-L1 scoring, but I really got a point
12 to the fact that we're trying to assess data from a
13 group that is CPS less than 1, or PD-L1 less than
14 1, that has 77 in the treatment arm and 87 patients
15 in the control arm. This is an unbelievably small
16 data set, and I have trouble determining whether or
17 not patients should or should not receive therapy
18 based off of this few patients.

19 But to answer the question again, what are
20 the risks and benefits, we just don't see any
21 overall survival benefits, so I don't think we can
22 make any conclusive evidence that it's helping

1 patients. And to the open public hearing speaker,
2 we hear you. We want to offer these therapies to
3 as many patients as possible, but not if there's no
4 survival benefit.

5 I really like Dr. Uboha's point of
6 standardizing this testing, making it simpler for
7 practitioners but also ensuring that the right
8 testing gets done on the right patients. And right
9 now, I believe that that's in patients whose PD-L1
10 score is greater than 1, but I really would love to
11 hear other people's perspectives on this.

12 Dr. Sanoff?

13 DR. SANOFF: Hanna Sanoff, UNC. Chris, I
14 think I agree with everything you said, and I
15 really want us to think about that small number.
16 That's my biggest concern. We've seen reproducible
17 evidence across a couple of trials, but it starts
18 to become a lot less certain when you're talking
19 about such small numbers, and that makes me a
20 little more uncomfortable about these subgroup
21 analyses.

22 I think, like the prior one, I don't think

1 you can make much of the 1 to 10 subgroup analyses.
2 They're sort of all over the place and not
3 consistent or reproducible. We see no benefit at
4 all. We see nothing to offer hope to our patients
5 with the PD-L1 at zero from these data, but is that
6 enough if you're talking about 120-130 people? I
7 don't know the answer to that.

8 DR. LIEU: Dr. Madan?

9 DR. MADAN: Ravi Madan, National Cancer
10 Institute. I think the presentation by the FDA was
11 appropriately cautious. There was a lot of
12 conditional language, "exploratory analysis may."
13 It was very clear that we're working with very
14 small numbers here. I'd like to flip the equation
15 on its head and say if this was a paper, a grant,
16 or a company coming to you with a very interesting
17 subset analysis, with 8 to 10 percent of the
18 patients reading out in a different way, you'd say,
19 "That's a good hypothesis. Go explore that in a
20 subsequent trial."

21 So that's what I'm left with. I'm again
22 left with I'm not seeing clear benefit, but I'm not

1 seeing robust enough numbers that I feel I can make
2 an honest determined -- not honest; "honest" is not
3 the right word. But I'm not comfortable making a
4 determination based on 8 to 10 percent subset
5 analysis.

6 DR. LIEU: Thank you.

7 Dr. Spratt?

8 DR. SPRATT: Dan Spratt, UH Seidman and Case
9 Western. Yes. This is, I think, more challenging,
10 and two points just to respond to Dr. Madan. One
11 is, these are small sample sizes, but let's not
12 forget the event rates. Almost everyone is having
13 an event. So in trials that have 500 patients
14 having 100 events, many of them are powered for
15 things such as that. I think that's one point.

16 The second is, to push a bit on the FDA
17 here -- and this isn't the first time -- these
18 primary endpoints for at least two of the trials,
19 these companies decided, and designed, and powered
20 their trials based on these subsets. They wanted
21 the CPI or these various scores to be enriched for
22 a very specific reason, a priori. It was

1 shown -- and I actually commend BMS who
2 showed -- that the label literally says the hazard
3 ratio, I think you highlighted, was 1.0 in the low
4 PD-L1 expression, not dissimilar to the elaborate
5 data, not to re-bring that. But someone else
6 brought up approval across 15 mutations when we
7 knew, a priori, the investigators made a cohort
8 with the biomarkers that were likely to be
9 enriched, and there was no evidence of response
10 later.

11 It seems far more practical to be giving
12 approvals for the primary endpoint population,
13 especially when it was known at that time when
14 there's a large potential benefit. And to then
15 walk back and encourage the trials to be done in
16 these unknown populations -- because we're now in a
17 stance that, yes, could there be some subset of
18 some subset that benefits? But if we saw all this
19 data initially, if this was a pooled trial of all
20 of these, and it was a stratified analysis, and you
21 saw in these 150 or 100 patients these Kaplan-Meier
22 curves, these median survival curves -- I mean,

1 there's no evidence of any benefit in this
2 population.

3 So I think the broad approvals create a very
4 challenging time. I don't know how we'll get
5 better data because as one of the companies said,
6 it may be impossible to run these trials in the
7 U.S. once these approvals were given.

8 DR. LIEU: Thanks, Dr. Spratt.

9 Does the FDA have a response?

10 DR. LEMERY: Sure. We hear you. When these
11 studies were done, we had discussions with the
12 companies about both the biomarker positive and the
13 ITT populations. I think learning over time, we
14 are seeing that maybe we do need to push more on
15 the biomarker negative population to get enough
16 patients and events in those to get better data for
17 these studies. This is the way they were done, and
18 these are the results we have. It's not optimal.
19 We hear you and, optimally, we would have had a
20 better powered study for including more of the
21 PD-L1 low patients. We are here in the situation
22 now, so we ultimately have to make a decision.

1 DR. SPRATT: Yes. This is Dan Spratt,
2 UH Seidman. Still, though, it's the primary
3 endpoint; it's powered for this subset, but the
4 approval goes to the entire trial. So that's a
5 decision that -- again, for example, the third
6 company, BeiGene, I think it was not their primary
7 endpoint, based on that. But I think going to the
8 erlotinib/gefitinib history here, if the erlotinib
9 trial was initially designed and EGFR mutant
10 patients were powering this, and you approved it
11 for everyone, people would be like, "What the heck
12 are you doing?" It wasn't. So that is, I guess,
13 at least my hindsight 20/20 advice, which is easy
14 to give.

15 DR. LEMERY: I hear you. In this case,
16 PD-L1 is a little bit more challenging than the
17 EGFR situation, but we do hear you.

18 DR. LIEU: Dr. Dodd?

19 DR. DODD: This is a question to the FDA
20 statisticians. Did you do an interaction test
21 looking at the effect of the two groups less than 1
22 and greater than 1, and what was the p-value for

1 that?

2 DR. FENG: Zhou Feng, statistical reviewer
3 at FDA. Yes, we did analysis of the interaction,
4 in fact, between treatment and the PD-L1 status
5 using Cox model. I can quickly take a look at the
6 p-value. I think, absolutely, it's less than 0.05.
7 We used the PD-L1 less than 1 and it indicates the
8 p-value is 0.017. Thank you.

9 DR. DODD: Thank you. That answers my
10 question.

11 DR. LIEU: Dr. Spratt?

12 DR. SPRATT: Dan Spratt. To that point,
13 talking about sample size, a lot of times people
14 will say, "Oh, it's underpowered." Well, if you
15 have a significant interaction test, it's powered
16 that these are significantly different effect
17 sizes.

18 DR. LIEU: Any other comments?

19 Dr. Dodd?

20 DR. DODD: Can I just add to that, though?
21 Just to add further strength to that, when we do
22 interaction tests, because we know they're

1 historically underpowered, we sometimes allow a
2 p-value of 0.1 for an interaction test. So 0.01 I
3 think, to me, is pretty convincing.

4 DR. LIEU: Dr. Vasani?

5 DR. VASANI: Yes. Just to address one of
6 Dr. Spratt's points and I think what Dr. Van Loon
7 said in the last session as well, is that because
8 this is just such a dynamic field, one difference
9 between this today and, let's say, olaparib is that
10 here we have three different drugs that have a lot
11 of similarities in a similar patient population,
12 and it's when we do the pooled analysis that we see
13 these higher order effects.

14 I think in some of these other drugs, at
15 least here, I think we as a field have to be
16 prepared for the fact that if there are other drugs
17 approved in the same setting for a specific cancer
18 type that do show these higher order effects, where
19 a specific biomarker cutoff does not meet the mark,
20 I think we just have to be prepared for that; that
21 if we have these high-quality analyses, that may
22 change the way we treat our patients. For example,

1 there are other PD-1 antibodies that are approved
2 regardless of PD-L1 status, and maybe there's going
3 to be more data that comes out to that effect.

4 DR. LIEU: Ms. Deighton?

5 MS. DEIGHTON: Dana Deighton, patient
6 representative. This is kind of a lay question.
7 Before the approval is pulled back from that
8 population that is PD-L1 less than 1, is there
9 anything else that can be looked at in that
10 population, like MSI status or anything like that?
11 There usually is a subset of people that do respond
12 differently.

13 DR. LIEU: Yes. It's a wonderful point.
14 The analyses that were presented, it did exclude
15 the MSI high population, but as also was discussed
16 with some of the experts that have already
17 presented, I think that really is the call to
18 action in this subgroup. Certainly, it's small.
19 There are not a lot of patients that are PD-L1
20 negative, but at the same time there are patients
21 that clearly have a benefit. We have no idea who
22 those patients are, and I think that really is

1 incumbent upon us. And it goes to Dr. Sanoff's
2 question about the grant that you would write to
3 further investigate this population.

4 But it really does speak to the fact that we
5 don't know everything that there is to know about
6 biology, but we should, and I think that that's
7 where the science will certainly lead us, to better
8 therapies in a patient population that obviously
9 desperately needs better therapy.

10 DR. DEIGHTON: Thank you.

11 DR. LIEU: Dr. Casak?

12 DR. CASAK:

13 DR. CASAK: Sandra Casak, FDA. Thank you.
14 Just to clarify, the analysis actually included all
15 patients, not only MSS, but because we have very
16 few patients that were MSI high and we have a very
17 high rate of unknowns, we didn't separate those.
18 Having said so, this approval does not affect
19 patients that are MSI high.

20 MS. DEIGHTON: Thanks. I appreciate that.

21 DR. CASAK: That will be treated anyway.

22 MS. DEIGHTON: Yes.

1 DR. LIEU: Thank you, Dr. Casak.

2 Dr. Van Loon?

3 DR. VAN LOON: I have a comment and a
4 question. I think unlike this morning's
5 conversation, where really the decision was to
6 align with existing guidelines from NCCN, if you
7 look at the existing guidelines for use of nivo and
8 pembro for this particular indication, they are all
9 over the map, where currently nivo doesn't have a
10 biomarker -- nivo-ipi doesn't have a biomarker
11 requirement and pembro does.

12 So I'm asking, is the goal, now that there
13 are three drugs, to have some consensus around a
14 biomarker indication that would unify guidelines
15 and actually help clinicians who very rarely see
16 this disease?

17 DR. LIEU: Does the FDA have a response to
18 that?

19 DR. PAZDUR: Yes. Your decision should be
20 based on the data that has been presented. There's
21 no attempt here to unify guidelines whatsoever.
22 That is not part of our procedure, so to speak, or

1 our objectives here. It's really on the data that
2 is presented here. We do not know what went in or
3 who made the decisions in the guidelines, so there
4 is absolutely no attempt here to provide some
5 unification of the guidelines with an FDA label.

6 DR. LEMERY: I will say, though, when we
7 started doing some of these analyses, we knew about
8 the 10 with one of the drugs and Category 1
9 recommendations. We didn't want to be set on,
10 "Okay, that's what we have to focus on." We wanted
11 to look at the totality of the data because,
12 really, when you look at the pooled analysis
13 between 1 and 10, again, it's exploratory and you
14 need to take it with some caveats, but forest plots
15 were clearly to the left of 1.

16 So we wanted to make sure that if there was
17 going to be a limitation, we wanted it to be on as
18 few patients as possible. If we have an effect, we
19 want to make sure those patients get that. So we
20 didn't want to be limited to what was in the
21 guidelines. Thank you.

22 DR. LIEU: Dr. Madan?

1 DR. MADAN: I'm looking back through my
2 slides here. Your interaction score -- which I'm
3 not a statistician, so I'm trying to understand it
4 better -- that's with all three trials pooled
5 together; correct?

6 DR. FENG: Zhou Feng, statistical reviewer
7 at FDA. Yes, we used the pooled data to do that
8 analysis.

9 DR. MADAN: If I remember correctly -- Dan,
10 what was that slide you had pulled up before,
11 Dr. Spratt?

12 DR. SPRATT: It was 11 of the FDA's talk,
13 the first one.

14 DR. MADAN: The intro. I'm trying to
15 remember because it looked like not all the curves
16 are exactly similar if we look at that. Our
17 question here is focused on three trials. Some of
18 them cross, some of them don't, so you could
19 understand where the interaction score tells part
20 of the story, but maybe not all of the story for
21 all of the trials. That would just be my naive
22 perspective as a non-statistician.

1 DR. LIEU: Dr. Spratt?

2 DR. SPRATT: Just to be clear, obviously,
3 you did the analysis, but what the interaction is,
4 is looking at the columns -- well, actually, this
5 isn't the slide that would even have it, but it's
6 basically the PD-L1 less than 1. And I don't know;
7 there's probably a slide that has a PD-L1 greater
8 than 1.

9 It's comparing those two hazard ratios. So
10 it's not comparing these curves, really, that
11 you're seeing here. So it's hazard ratios of
12 basically 0.6-ish versus hazard ratios about 1, is
13 there a significant difference in those relative
14 benefits, is the interaction, treatment biomarker
15 interaction.

16 DR. MADAN: Yes.

17 DR. SRIVASTAVA: This is Geetika Srivastava,
18 FDA. Slide 28 from my slide deck that was
19 presented.

20 (Pause.)

21 DR. LIEU: Can we have slide 28 from
22 Dr. Srivastava's presentation, please?

1 (Pause.)

2 DR. SRIVASTAVA: And for RATIONALE, they
3 were reversed.

4 DR. LIEU: Dr. Dodd?

5 DR. DODD: I think for me, slide 30 is a
6 little more instructive. It's basically testing
7 the rows, the PD-L less than 1 and the PD-L greater
8 than 1; is there evidence to suggest that there's a
9 treatment effect difference between those two
10 groups? And according to the statistical criteria,
11 yes, there is. So it's the 1.1 versus 0.68,
12 roughly speaking.

13 Am I correct, FDA?

14 DR. LIEU: Dr. Feng?

15 DR. FENG: Yes, that's correct. Thank you.

16 DR. LIEU: Dr. Meyerhardt?

17 DR. MEYERHARDT: Jeff Meyerhardt,
18 Dana-Farber. You may have not done this, but the
19 interaction term that we've been talking about, how
20 much of it's driven by the tislelizumab? Because
21 the hazard ratio -- I know the numbers will get
22 much smaller and you'll have much less power, and

1 it's only like 50 per each arm if you just take the
2 nivo and the pembro, but the tislelizumab, given
3 that the hazard ratio is 1.34, is probably driving
4 some of that interaction more than others. So I
5 don't know if you just did the two groups to see
6 what that interaction looked like.

7 DR. FENG: Zhou Feng, statistical reviewer
8 at FDA. For that analysis, we used the pooled
9 data, including the data from three trials only,
10 but here we observed a consistent pattern across
11 the three studies with the PD-L1 less than 1
12 subgroup. Thank you.

13 DR. LIEU: Any additional comments, or
14 discussion questions, or points?

15 (No response.)

16 DR. LIEU: I'll try to summarize this
17 discussion. I think unlike the discussion that we
18 had this morning, while I believe that there's
19 consensus in regards to some concern about how the
20 CPS less than 1 or PD-L1 less than 1 group is doing
21 with the treatment, I think the entire group is
22 really concerned about the sample sizes that were

1 being discussed. I think, statistically, that
2 makes things very difficult, so we had a lot of
3 conversation about the analyses and the
4 interactions performed on the pooled analysis.

5 Having said that, I think that maybe the
6 differences in our discussion here is that now that
7 we have a pooled analysis, which is something that
8 we haven't had, at least on a trial level with the
9 individual trials, we can start to make some
10 conclusions, but I hear a little bit of discomfort
11 in regards to making a determination based off of
12 the current data that we have in hand.

13 Any additional comments or questions to
14 that?

15 (No response.)

16 DR. LIEU: Okay. Moving on, we will now
17 proceed to question 2, which is a voting question.
18 We will be using an electronic voting system for
19 this meeting. Once we begin to vote, the buttons
20 will start flashing and will continue to flash even
21 after you have entered your vote. Please press the
22 button firmly that corresponds to your vote. If

1 you are unsure of your vote or you wish to change
2 your vote, you may press the corresponding button
3 until the vote is closed.

4 After everyone has completed their vote, the
5 vote will be locked in. The vote will then be
6 displayed on the screen. The DFO will read the
7 vote from the screen into the record. Next, we
8 will go around the room, and each individual who
9 voted will state their name and vote into the
10 record. You can also state the reason why you
11 voted as you did, if you want to. We will continue
12 in the same manner until all questions have been
13 answered or discussed.

14 For question 2, which is a voting question,
15 is the risk-benefit assessment favorable for the
16 use of anti-PD-1 antibodies in first-line
17 unresectable or metastatic esophageal squamous cell
18 carcinoma with PD-L1 expression less than 1?

19 Are there any questions or comments
20 concerning the wording of the question?

21 (No response.)

22 DR. LIEU: Seeing none, we will now begin

1 the voting process.

2 (Voting.)

3 DR. FRIMPONG: There is 1 yes, 11 noes, and
4 1 abstain.

5 DR. LIEU: Now that the vote is complete,
6 we'll go around the table and have everyone who
7 voted state their name and their vote, and if you
8 want to, you can state the reason why you voted as
9 you did into the record, and we will start with
10 Dr. Van Loon.

11 DR. VAN LOON: This is Katherine Van Loon,
12 and my vote was no.

13 DR. LIEU: I think we'll go ahead and go
14 around the table from the other side, so
15 Dr. Sanoff?

16 DR. SANOFF: Hanna Sanoff. My vote was no,
17 the reason being, though we heard a lot about how
18 inflamed these tumors are in their
19 microenvironment, there must be something different
20 about the PD-L1 negative patients given the
21 completely overlapping survival curves and even
22 some suggestion of no benefit at all, even

1 potential harm. So I just did not see enough
2 evidence to suggest -- and despite the small sample
3 size, I think the fact that the effect of treatment
4 is statistically modified by PD-L1 is pretty
5 compelling, as well as the event rates.

6 DR. LIEU: Thank you.

7 Dr. Meyerhardt?

8 DR. MEYERHARDT: Jeff Meyerhardt,
9 Dana-Farber. My vote was no for similar reasons.
10 I think the consistency across the three trials,
11 where the hazard ratio really is 1 or even higher,
12 despite the small sample size, made me vote no.

13 DR. LIEU: Dr. McKean?

14 DR. McKEAN: Heidi McKean, Avera Cancer
15 Institute. My vote was no. My honest answer to
16 that question, is the risk-benefit assessment
17 favorable? No. Despite the small numbers, I think
18 this is the best data set we're going to get.

19 DR. LIEU: Dr. Gibson?

20 DR. GIBSON: Michael Gibson. I also
21 answered no. I would like, just for the record, to
22 state that the data, as I see it now is and

1 described and commented on by my colleagues, stands
2 alone. But I know that I will also have to take
3 this decision into consideration when I'm in
4 clinic, and I appreciate the opportunity to be a
5 part of the decision. Thank you.

6 DR. LIEU: Thank you.

7 Dr. Hawkins?

8 DR. HAWKINS: I voted no with some
9 apprehension about removing something that was
10 available but could not ignore the numbers. I
11 suspect, as my colleague to the right made mention,
12 some doctors will probably still attempt to offer
13 this to patients who feel that I'm willing to take
14 a chance given the opportunity, to continue to use
15 the drug irrespective of their low PD-L1 number.

16 DR. LIEU: Thank you, Dr. Hawkins.

17 Ms. Deighton?

18 MS. DEIGHTON: Dana Deighton, patient
19 representative. I understand the numbers and I
20 understand the concerns. The numbers are low, but
21 as a patient, I also had no options and had to
22 fight for anything I could find. I do believe in

1 the Hail Mary passes, and sometimes things do work
2 and you don't know why, so that's why I voted yes.

3 DR. LIEU: Thank you.

4 Dr. Dodd?

5 DR. DODD: This is Lori Dodd, and I voted
6 no. The question stated does the risk-benefit
7 profile favor the use of this in PD-L1 less than 1?
8 There was no evidence of benefit in this group, and
9 in particular, there was evidence of a clear
10 treatment by PD-L1 status interaction. So in spite
11 of that, I think there needs to be more done. I
12 also tried to approach this as if we were presented
13 with these data de novo today, would we approve
14 this in this group, and there's just simply not
15 evidence to suggest that it would provide a benefit
16 in this subgroup. Thank you.

17 DR. LIEU: Thank you, Dr. Dodd.

18 Dr. Vasani?

19 DR. VASANI: Neil Vasani, Columbia. I voted
20 no based on the totality of the data; that despite
21 the small numbers, this was a statistically sound
22 analysis. I will say that I think today's

1 discussion, both in the morning and the afternoon,
2 has really been quite instructive and I think also
3 shows us the importance of while some people may
4 accuse oncology of having so-called "me too" drugs,
5 these multiple pooled analyses actually allow us to
6 refine a very complex biomarker that really could
7 help us better identify who's really responding to
8 these drugs. Thank you.

9 DR. LIEU: Thank you.

10 DR. LIEU: This is Chris Lieu. I voted no.
11 I agree with the statement that this is probably
12 the best data that we're going to have. Some of
13 this is related to how the question was asked. It
14 really asks is the risk-benefit profile in favor
15 of, and I'm not really sure you can look at this
16 data and say that the answer is yes. Having said
17 that, I do share everybody's concern that this data
18 set is still quite small, but I am thankful that,
19 again, this is a minority of the patients that are
20 going to be treated.

21 Dr. Madan?

22 DR. MADAN: Ravi Madan, National Cancer

1 Institute. I voted to abstain. Again, it's
2 actually not that different than Dr. Lieu's
3 comment; it's just, from my perspective, I don't
4 think that I feel comfortable with 8 to 10 percent
5 of the patients from these trials that didn't all
6 have universal readouts in terms of the curves and
7 things that were pooled together. I know there are
8 unique opportunities here to look at this, but to
9 use a legal term, I'm not sure there was enough to
10 "convict" so to speak, so that's why I abstained.
11 I just don't think the question could be answered
12 in a comfortable way based on the data we saw.

13 DR. LIEU: Thank you, Dr. Madan.

14 Dr. Spratt?

15 DR. SPRATT: Dan Spratt, UH Seidman and Case
16 Western. I voted no. It's really challenging,
17 especially from some of the comments we've
18 heard -- which, again, we're not voting for a
19 regulatory change; that's not what the ODAC
20 does -- does this take away hope? I think often we
21 forget, and it's been brought up before, that
22 pretty much every single therapy causes toxicity,

1 every single therapy, especially when we're talking
2 about these agents, can cause substantial toxicity
3 or financial toxicity, if you have it.

4 Even the numbers -- we'll just take when you
5 say it's going to benefit 1 in 10, which is a
6 phenomenal -- first, we haven't really said, these
7 drugs are amazing drugs. These drugs have changed
8 many patients' lives, so we're talking about a
9 subset. Is potentially harming 9 patients, does
10 that always justify helping 1? And in this case it
11 might be harming 99 patients to maybe help 1.

12 So if you're that one that's helped, that's
13 great, but if you potentially are harming many
14 more, I think that's something that I'm not sure
15 the question, this risk-benefit ratio, that we've
16 seen evidence that there's going to even be that
17 one that we could clearly reliably identify. So
18 that's why I voted no. But again, I don't think
19 any of us want to take hope away. We don't want to
20 harm patients; we want to help them.

21 DR. LIEU: Thank you, Dr. Spratt.

22 Dr. Gradishar?

1 DR. GRADISHAR: Bill Gradishar,
2 Northwestern. Succinctly, despite the concerns
3 about small numbers, there was no evidence of
4 benefit, period.

5 DR. LIEU: And just for the record, you
6 voted?

7 DR. GRADISHAR: No.

8 DR. LIEU: No. Okay.

9 To summarize the discussion, certainly I
10 think there is fairly widespread consensus across
11 the panel that the risk-benefit profile does not
12 favor the use in patients that have PD-L1 score
13 less than 1. I think there is fairly good
14 consensus that the available data do not support
15 its use. Having said that, I think that we've
16 heard from the panel members that there's some
17 discomfort with the small amount of patients that
18 we're trying to make decisions from, understanding
19 that the data is never always going to be perfect;
20 and this is a very good example of that, but we
21 have to make decisions based off of the data that
22 we have.

1 I think that we heard from Ms. Deighton the
2 desire to offer as many therapies as possible to
3 our patients, and I think that we all feel that.
4 At the same time, to Dr. Spratt's point, there's
5 certainly a number needed to treat to help people,
6 but there's also a number needed to harm, where
7 when you treat enough patients, you are going to
8 deliver harm from some of these therapies; and
9 therefore, I think that's really what sums up the
10 risk-benefit profile and the reason the vote came
11 out the way it did.

12 Any other questions or comments?

13 (No response.)

14 DR. LIEU: Okay.

15 I know that this was an incredibly long day,
16 and I will tell you, having three applicants in the
17 room, the presentations from the applicants and the
18 FDA were outstanding. The information that was
19 provided was outstanding. So really, from the
20 panel, to the applicants, and the FDA, thank you so
21 much, and also for all the incredible work that has
22 gone into all of this. To our open public hearing

1 speakers, thank you for sharing your stories.
2 Please know that those impact us as well and
3 encourage us to do even better in clinic, and for
4 our patients, and the research that goes on in this
5 room, and then obviously beyond.

6 To the FDA, do you have any final comments?

7 Dr. Lemery?

8 DR. LEMERY: Just to thank everyone, and
9 reiterating your thanks and appreciation for
10 everyone, their hard work, their travel and time to
11 come out and participate in this meeting.

12 **Adjournment**

13 DR. LIEU: Wonderful.

14 And with that, we'll adjourn, and thank you
15 guys so much. Appreciate it. Safe travels.

16 (Whereupon, at 4:29 p.m., the afternoon
17 session was adjourned.)
18
19
20
21
22