

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

THYMOSIN ALPHA-1-RELATED BULK DRUG SUBSTANCES
(THYMOSIN ALPHA-1 ACETATE AND
THYMOSIN ALPHA-1 FREE BASE)

Afternoon Session

Topic 3

Wednesday, December 4, 2024

1:00 p.m. to 3:35 p.m.

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Takyiah Stevenson, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Robin H. Bogner, PhD**

11 Professor

12 University of Connecticut

13 School of Pharmacy

14 Department of Pharmaceutical Sciences

15 Storrs, Connecticut

16

17 **Timothy D. Fensky, RPh, DPh, FACA**

18 *(National Association of Boards of Pharmacy*

19 *Representative)*

20 Chief Pharmacy Officer

21 Advanced Wellness Pharmacy

22 Andover, Massachusetts

1 **Padma Gulur, MD, FASA**

2 Professor of Anesthesiology and Population Health

3 Executive Vice Chair

4 Department of Anesthesiology

5 Director of Pain Management Strategy and Opioid

6 Surveillance

7 Duke University Health System

8 Duke University Medical Center

9 Durham, North Carolina

10

11 **Anita Gupta, DO, MPP, GMP, PharmD, FASA**

12 *(via video conferencing platform)*

13 Full Clinical Professor, Medicine

14 University of California Riverside School of

15 Medicine

16 Riverside, California

17 Adjunct Assistant Professor

18 Johns Hopkins School of Medicine

19 Department of Anesthesiology and Critical Care

20 Baltimore, Maryland

21

22

1 **Kathleen M. Gura, PharmD, BCNSP, FASHP,**

2 **FASPEN**

3 Assistant Professor of Pediatrics

4 Harvard Medical School

5 Manager, Pharmacy Clinical Research Program

6 Boston

7

8 **Linda F. McElhiney, PharmD, RPh, MSP, FAPC,**

9 **FACA, FASHP, DPLA**

10 Pharmacist Verification 1/Drug Utilization Review

11 Pharmacist

12 Elevance BioPlus Specialty Pharmacy

13 Indianapolis, Indiana

14

15 **Elizabeth Rebello, RPh, MD, FASA, CPPS, CMO**

16 *(Acting Chairperson)*

17 Professor

18 Department of Anesthesiology and Perioperative

19 Medicine

20 University of Texas MD Anderson Cancer Center

21 Houston, Texas

22

1 **Brian Serumaga, PhD**

2 *(United States Pharmacopeia Representative)*

3 Senior Manager, Personalized Medicines

4 United States Pharmacopeial Convention

5 Rockville, Maryland

6

7 **Allen J. Vaida, BSc, PharmD, FASHP**

8 Former Executive Vice President

9 Institute for Safe Medication Practices

10 Hatfield, Pennsylvania

11

12 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

13 **(Non-Voting)**

14 **Thomas J. Lupton, PharmD, MBA, BCPS**

15 *(Industry Representative)*

16 Director, Point-of-Care Pharmacy Services

17 On Demand Pharmaceuticals

18 Rockville, Maryland

19

20

21

22

1 **Donnette D. Staas, PhD**

2 *(Industry Representative)*

3 Vice President, Regulatory Strategy

4 Jazz Pharmaceuticals

5 Philadelphia, Pennsylvania

6

7 **TEMPORARY MEMBERS (Voting)**

8 **Nina Clark, MD**

9 *(Thymosin alpha-1-related BDSs Topic Only)*

10 Professor of Medicine

11 Stritch School of Medicine

12 Loyola University Chicago

13 Division Director, Infectious Diseases

14 Loyola University Medical Center

15 Maywood, Illinois

16

17

18

19

20

21

22

1 **Amanda H. Corbett, PharmD**

2 *(via video conferencing platform; Thymosin*
3 *alpha-1-related BDSs Topic Only)*

4 Associate Professor

5 Eshelman School of Pharmacy

6 The University of North Carolina at Chapel Hill

7 Chapel Hill, North Carolina

8

9 **Mark J. Czaja, MD, FAASLD**

10 *(Thymosin alpha-1-related BDSs Topic Only)*

11 Adjunct Professor of Medicine

12 Division of Digestive Diseases

13 Emory University School of Medicine

14 Atlanta, Georgia

15

16 **Todd Durham, PhD**

17 *(Acting Consumer Representative)*

18 Senior Vice President

19 Clinical and Outcomes Research

20 Foundation Fighting Blindness

21 Columbia, Maryland

22

1 **Hayley Gans, MD**

2 *(via video conferencing platform; Thymosin alpha-1-*
3 *related BDSs Topic Only)*

4 Professor of Pediatrics

5 Division of Pediatric Infectious Diseases

6 Stanford University Medical Center

7 Stanford, California

8

9 **Marc Ghany, MD, MHSc**

10 *(Thymosin alpha-1-related BDSs Topic Only)*

11 Senior Investigator, Liver Diseases Branch

12 National Institute of Diabetes and Digestive and

13 Kidney Diseases (NIDDK)

14 National Institutes of Health (NIH)

15 Bethesda, Maryland

16

17 **Mark G. Kris, MD**

18 *(Thymosin alpha-1-related BDSs Topic Only)*

19 Attending Physician

20 Thoracic Oncology Service

21 Memorial Sloan Kettering Cancer Center

22 New York, New York

1 **Brian P. Lee, MD, MAS**

2 *(via video conferencing platform; Thymosin*
3 *alpha-1-related BDSs Topic Only)*

4 Associate Professor of Medicine

5 Division of Gastrointestinal and Liver Diseases

6 Keck School of Medicine

7 University of Southern California

8 Los Angeles, California

9

10 **Janet S. Lee, MD**

11 *(via video conferencing platform; Thymosin*
12 *alpha-1-related BDSs Topic Only)*

13 Chief, Division of Pulmonary and

14 Critical Care Medicine

15 Professor of Medicine

16 Professor of Pathology and Immunology

17 The John T. Milliken Department of Medicine

18 Washington University in St. Louis

19 St. Louis, Missouri

20

21

22

1 **Cecilia Monge, MD, MPH, FACP**

2 *(Thymosin alpha-1-related BDSs Topic Only)*

3 Assistant Research Physician

4 Thoracic and Gastrointestinal Malignancies Branch

5 National Cancer Institute, NIH

6 Bethesda, Maryland

7

8 **Alice K. Pau, PharmD**

9 *(Thymosin alpha-1-related BDSs Topic Only)*

10 Staff Scientist II, Clinical Pharmacy Specialist

11 National Institute of Allergy and Infectious

12 Diseases, NIH

13 Bethesda, Maryland

14

15 **George K. Siberry, MD, MPH**

16 *(Thymosin alpha-1-related BDSs Topic Only)*

17 Chief Medical Officer

18 Office of HIV/AIDS

19 Bureau of Global Health

20 United States Agency for International Development

21 Washington, District of Columbia

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Frances Gail Bormel, RPh, JD**

3 Director

4 Office of Compounding Quality and Compliance

5 (OCQC)

6 Office of Compliance (OC), CDER, FDA

7

8 **Gabrielle Cosel, MSc**

9 (via video conferencing platform)

10 Director

11 Division of Compounding Policy and Outreach

12 (DCPO)

13 OCQC, OC, CDER, FDA

14 **Charles Ganley, MD**

15 (via video conferencing platform)

16 Director

17 Office of Specialty Medicine (OSM)

18 Office of New Drugs (OND), CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Daiva Shetty, MD

Associate Director

Pharmacy Compounding Review Team (PCRT)

OSM, OND, CDER, FDA

Kemi Asante, PharmD, MPH, RAC

Lead Consumer Safety Officer

OCQC, OC, CDER, FDA

Tracy Rupp, PharmD, MPH, BCPS, RD

Lead Consumer Safety Officer

OCQC, OC, CDER, FDA

Russell Wesdyk, BS, MBA

Associate Director for Regulatory Affairs

Office of Product Quality Assessment II (OPQAII)

Office of Pharmaceutical Quality (OPQ)

CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Elizabeth Hankla, PharmD

(Thymosin alpha-1-related BDS Topic Only)

Senior Clinical Analyst

PCRT, OSM, OND, CDER, FDA

Jing Li, PhD

(Thymosin alpha-1-related BDSs Topic Only)

Chemist

OPQAII, OPQ, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S

| AGENDA ITEM | PAGE |
|--|------|
| Call to Order and Introduction of Committee | |
| Elizabeth Rebello, RPh, MD, FASA, | |
| CPPS, CMQ | 15 |
| SECTION 503A BULK DRUG SUBSTANCES LIST | |
| AOD-9604-RELATED BULK DRUG SUBSTANCES | |
| (AOD-9604 ACETATE AND AOD-9604 FREE BASE) | |
| FDA Presentations | |
| Elizabeth Hankla, PharmD | 17 |
| Jing Li, PhD | 18 |
| Elizabeth Hankla, PharmD | 23 |
| Clarifying Questions from the Committee | 60 |
| Open Public Hearing | 79 |
| Committee Discussion and Vote | 113 |
| Adjournment | 130 |

P R O C E E D I N G S

(1:00 p.m.)

Call to Order

Introduction of Committee

DR. REBELLO: Welcome. My name is Elizabeth Rebello, and I'm serving as acting chair for this meeting. Before we begin, I'd like to remind everyone to silence their cell phones and devices, and we'll begin with the thymosin alpha-1-related bulk drug substances topic session. Panel members who will be in this topic will introduce themselves by stating their names and affiliation, and we'll begin by those that are here in person, followed by virtual participants.

Dr. Clark?

DR. CLARK: Nina Clark, Loyola University of Chicago. I'm an adult infectious disease doctor.

DR. REBELLO: Dr. Czaja?

DR. CZAJA: Mark Czaja, Emory University School of Medicine.

Dr. Ghany?

DR. GHANY: Marc Ghany, NIH, Bethesda,

1 Maryland.

2 DR. REBELLO: Dr. Kris?

3 DR. KRIS: Mark Kris, thoracic medical
4 oncology from Memorial Sloan Kettering.

5 DR. REBELLO: Dr. Pau?

6 DR. PAU: Alice Paul, NIAID, NIH.

7 DR. REBELLO: Dr. Siberry?

8 DR. SIBERRY: George Siberry, pediatric
9 infectious diseases and Chief Medical Officer at
10 Office of HIV/AIDS, USAID.

11 DR. REBELLO: And for those that are joining
12 virtually, Dr. Brian Lee?

13 DR. B. LEE: Brian Lee, hepatologist at
14 University of Southern California.

15 DR. REBELLO: Dr. Janet Lee?

16 DR. J. LEE: Janet Lee, Washington
17 University St. Louis, pulmonary critical care
18 medicine.

19 DR. REBELLO: Dr. Corbett?

20 DR. CORBETT: Hi. Amanda Corbett, a
21 pharmacist at the University of North Carolina,
22 Eshelman Schulman School of Pharmacy.

1 DR. REBELLO: Dr. Gans?

2 DR. GANS: Hi. Dr. Hayley Gans, pediatric
3 infectious disease at Stanford.

4 DR. REBELLO: Dr. Monge? I must have missed
5 you; apologies.

6 DR. MONGE: Cecilia Monge, medical oncology,
7 National Cancer Institute, NIH.

8 DR. REBELLO: Thank you.

9 We will now proceed with the FDA
10 presentation on thymosin alpha-1-related bulk drug
11 substances from Drs. Elizabeth Hankla and Jing Li.

12 **FDA Topic 3 Presentation**

13 **Elizabeth Hankla**

14 DR. HANKLA: Good afternoon. My name is
15 Elizabeth Hankla. I'm a clinical analyst with the
16 Pharmacy Compounding Review Team in the Office of
17 New Drugs, and I will be presenting thymosin
18 alpha-1 or Ta1-related bulk drug substances with my
19 colleague, Jing Li, from OPQ. I would like to
20 recognize the evaluation team, as well as the
21 contribution of many other FDA colleagues. We
22 would like to give a special thanks to the seven

1 review divisions that we worked with across the
2 Office of New Drugs and in the Center for Biologics
3 and Research.

4 Tal1-related bulk drug substances were
5 nominated for inclusion on the 503A Bulks List;
6 however, the nomination was subsequently withdrawn.
7 FDA is evaluating the substances at its discretion.
8 The product proposed in the nomination is a 3-mg
9 per mL injection for subcutaneous administration.
10 Tal free base and Tal acetate were evaluated for
11 the 12 uses listed in this slide. The criteria we
12 consider in our evaluation for the 503A Bulks List
13 are physical and chemical characterization,
14 historical use in compounding, safety, and
15 available evidence of effectiveness or lack of
16 effectiveness.

17 I'll now turn the presentation over to my
18 colleague, Jing Li.

19 **FDA Topic 3 Presentation**

20 **Jing Li**

21 DR. LI: Thank you, Beth.

22 Due to conflicting information about the

1 different Tal BDSs in the nomination package, it's
2 unclear which Tal-related BDS was nominated;
3 therefore, first we summarize the basic information
4 on two BDSs, including Tal free base and
5 Tal acetate in this table to show some differences
6 between them. From the table, you can see the
7 UNII code, CAS number, molecule formula, and the
8 molecule weight are different between these two
9 substances, but they have the same active moiety,
10 which is Tal free base.

11 This table shows detailed information
12 submitted by the nominator, as well as relevant
13 information identified by FDA. As you can see, the
14 nominated BDS is referring to Tal free base, and
15 the UNII code matches Tal free base, but the CoA
16 provided is for Tal acetate; however, the basic
17 information in the CoA, including UNII code,
18 CAS number, molecule formula, molecule weight, and
19 the chemical name are all referring to Tal free
20 base. Because Tal free base and Tal acetate are
21 two distinct BDSs, their different physical and
22 chemical properties can impact patient safety and

1 product efficacy. We evaluated both Tal free base
2 and Tal acetate, and we'll present them in the
3 following slides.

4 Let's first talk about the Tal free base.
5 Tal free base is an N-terminal acetylated 28 amino
6 acid peptide. In 1977, isolation and the
7 purification of Tal free base from thymosin
8 fraction-5 of calf thymus was reported. Later,
9 chemically synthesized Tal free base, named
10 thymalfasin, has been produced. Tal free base is a
11 white to off-white lyophilized powder. It's
12 soluble in water up to 2 milligrams per mL. There
13 is no USP drug substance monograph for
14 Tal free base.

15 In terms of storage and stability,
16 manufacturer recommends storing Tal free base below
17 minus 18 degrees Celsius. Upon reconstitution, Tal
18 free base in solution is stable between 2 to 7 days
19 at 4 degrees Celsius, and for future use, the
20 solution should be stored below minus
21 18 degrees Celsius.

22 Like other peptides mentioned in the

1 morning, Tal free base is also sensitive to the
2 product formulation process and the environment
3 conditions, which may lead to aggregation and
4 degradation. In addition, there may be some
5 peptide-related and peptide synthesis
6 process-related impurities presented in
7 Tal free base such as starting materials, residual
8 solvents, coupling reagents, et cetera.

9 Because there is no CoA provided in the
10 nomination, FDA also could not find information
11 from public domain regarding potential impurities
12 and the peptide-related aggregates that may be
13 presented in Tal free base; therefore, it's
14 difficult to rule out the potential for
15 immunogenicity associated with peptide-related
16 impurities and aggregates, especially when
17 formulated in the injectable dose form for subQ
18 administration.

19 In conclusion, Tal free base is not well
20 characterized because of lack of certain critical
21 characterization data including impurities,
22 aggregates, bioburden, and bacterial endotoxins.

1 In addition, there's a potential for
2 immunogenicity, especially when formulated in
3 injectable dosage form for subQ administration due
4 to potential for aggregation, as well as
5 peptide-related impurities in the BDS. Also, no
6 information was provided regarding how to formulate
7 proposed injectable dosage form at the
8 concentration of 3 milligram per mL using water as
9 a solvent due to limited water solubility of
10 Tal free base.

11 Tal acetate is an acetate salt form of
12 Tal free base. As shown in this slide, the
13 physical and chemical properties, including
14 stability and the potential impurities for
15 Tal acetate, are similar to those for
16 Tal free base. The CoA provided only includes
17 identification assay, water content, and acetate
18 content; however, no critical characterization data
19 for impurities, aggregates, bioburden, and
20 bacterial endotoxins. In addition, the water
21 solubility of Tal acetate is 1 milligram per mL.
22 Due to the same issues as mentioned in previous

1 slides for Tal free base, in conclusion,
2 Tal acetate is not well characterized.

3 That concludes my presentation. Now I hand
4 it over to Beth.

5 **FDA Topic 3 Presentation**

6 **Elizabeth Hankla**

7 DR. HANKLA: Thank you, Jing.

8 Here's what we found on historical use in
9 compounding. As mentioned previously, Tal was
10 first isolated from calf thymus in 1977; however,
11 the earliest and extent of Tal free base or
12 Tal acetate use in compounding is unknown. We
13 found no evidence of compounded drug products
14 containing Tal free base or Tal acetate in the
15 published literature or in outsourcing facility
16 reports. Compounded drug products containing Tal
17 have been marketed online and in the U.S. as an
18 injectable and nasal spray for use in numerous
19 conditions as those listed in the slide.

20 According to a 2014 annual report from
21 SciClone Pharmaceuticals, which is a pharmaceutical
22 company previously associated with the development

1 of Zadaxin or Tal free base, Tal is approved for
2 use in countries in the Asia Pacific region, Latin
3 America, Eastern Europe, and the Middle East.
4 According to the annual report, approvals are
5 primarily for the treatment of hepatitis B and as a
6 vaccine adjuvant, with additional approvals in
7 certain countries for the treatment of hepatitis C
8 or as a chemotherapy adjuvant.

9 FDA is unable to independently verify these
10 claims of approval in the specified countries. Tal
11 is not approved in the United States, Japan, or in
12 Europe, except for Italy. Additionally, Tal is not
13 recognized in the European or Japanese
14 pharmacopoeias.

15 We will now discuss safety information.
16 This slide presents some of the nonclinical safety
17 information we identified from a Zadaxin product
18 monograph. Of note, the monograph did not provide
19 the underlying data for these safety conclusions.
20 In terms of acute toxicity, a single subQ injection
21 of Tal up to 20 mgs per kg generated no
22 drug-related safety signals in mice, rats, and

1 marmosets. In a repeat-dose toxicity study,
2 treatment of mice, rats, and marmosets with Tal
3 subQ doses up to 6 mgs per kg per day for 13 weeks,
4 or 1 mg per kg per day for 26 weeks, generated no
5 drug-related safety signals. According to the
6 monograph, Tal did not produce genotoxicity signals
7 in vivo and in vitro.

8 According to a published review article,
9 SciClone Pharmaceuticals successfully completed a
10 lengthy segment 3 reproductive toxicology study,
11 which is a study conducted in rodents to evaluate
12 drug effects during the last trimester of pregnancy
13 and the period of lactation. FDA did not identify
14 nonclinical carcinogenicity studies with Tal.

15 In conclusion, summaries of nonclinical
16 toxicity studies available in a product monograph
17 for Zadaxin that contained Tal free base
18 1.6 mgs per mL appear to suggest that Tal free base
19 did not induce safety signals in nonclinical
20 toxicity studies; however, the underlying
21 nonclinical data generated to support the safety of
22 Tal are not available for review. At the time of

1 evaluation, FDA did not identify published
2 nonclinical toxicity studies to inform safety
3 considerations for potential clinical uses of
4 Tal acetate.

5 Here, we present some information on the
6 pharmacology of Tal. Tal has immunomodulatory
7 properties mediated, at least in part, by its
8 interactions with Toll-like receptor 9 on dendritic
9 and lymphoid progenitor cells. Per nonclinical
10 studies, Tal suppressed tumor growth, suppressed
11 viral infections, and decreased sepsis in in vivo
12 rodent models. Additionally, as post-treatment,
13 Tal increased the antibody titer generated by some
14 vaccines in mice.

15 We note that most nonclinical studies were
16 conducted with fixed doses that, according to body
17 surface area, translate to human equivalent doses
18 higher than those used in most clinical studies.
19 Finally, it's difficult to determine the minimal
20 Tal dose required to induce a pharmacological
21 response due to lack of studies assessing
22 dose-response relationships.

1 Here, we present some information on the
2 pharmacokinetics of Tal. In rats that received an
3 IV injection of Tal, the half-life ranged from
4 1.9 to 3 minutes. The nonclinical PK profile of
5 Tal delivered via the nominated route of
6 administration is unknown at this time. After subQ
7 administration, in healthy men, Tal was absorbed
8 rapidly with a Tmax of approximately 2 hours and a
9 serum half-life of approximately 2 hours. There
10 was no evidence of accumulation using daily dosing
11 for 5 days.

12 In terms of clinical safety, FDA's search of
13 the FAERS database of AEs for Tal retrieved one
14 report from a 46-year-old male receiving
15 peginterferon alpha-2a and Tal for about 12 weeks
16 as part of a clinical trial. The subject was
17 hospitalized with anxiety, atrial fibrillation, and
18 a transient decrease in his TSH levels.

19 Interpretation of causality in this case is
20 confounded by concurrent use of peginterferon, as
21 all three of the reported AEs are potential AEs
22 described in U.S. labeling.

1 In clinical trials, Tal has been
2 administered in daily doses ranging from about
3 1 to 16 milligrams usually via subQ administration
4 on a biweekly schedule, for treatment periods
5 ranging from 1 day to 12 months. The most common
6 dose in clinical studies was 1.6 milligrams via
7 subQ administration. The most common AEs reported
8 include local irritation, redness, and injection
9 site discomfort.

10 On this slide, we note a few potential AEs
11 reported in the medical literature in our review of
12 the studies. These AEs included ALT flares in
13 subjects with chronic hepatitis B; TSH
14 abnormalities in subjects with chronic hepatitis C;
15 nipple pain; fatal immune hemolytic anemia and
16 engraftment failure in hematopoietic stem cell
17 transplant or HSCT recipients.

18 There are potential safety concerns with
19 administering Tal in patients who are undergoing
20 deliberate immunosuppression. For example, in
21 patients who are undergoing HSCT, Tal could develop
22 or worsen acute or chronic GVHD and lead to

1 engraftment failure. Safety data for use of Tal
2 when given as a vaccine adjuvant with preventative
3 influenza vaccines are insufficient to evaluate
4 safety or assess the optimal Tal dose and regimen
5 and associated risks. Adding an immunomodulatory
6 product such as Tal to any vaccine could pose
7 unknown safety concerns that warrant further
8 evaluation.

9 We searched for products containing Tal as
10 an active ingredient licensed and marketed outside
11 of the United States with publicly available
12 labels. We identified two such labels.
13 Information obtained from these two labels include
14 warnings and contraindications when used in
15 children, pregnant and lactating women, patients
16 with autoimmune diseases, and immunosuppressed
17 populations. The label for Indonesia includes
18 information about transient increases in liver
19 enzymes characterized as flares and further Tal
20 administration.

21 As described earlier this morning,
22 immunogenicity is a concern for peptide products.

1 This immunogenetic response may be enhanced when
2 they are given via the subQ route of
3 administration. The consequences of triggering an
4 immune response may range from antibody responses
5 with no apparent clinical manifestations to
6 life-threatening and catastrophic reactions. Tal
7 may pose a significant risk for immunogenicity,
8 potentially amplified by aggregation and
9 peptide-related impurities. Importantly, we are
10 unaware of data in humans to support the proposal
11 for a 3 mgs per mL solution, and it's possible that
12 a more concentrated solution could lead to
13 aggregation, and therefore increased immunogenicity
14 potential.

15 In conclusion, the use of Tal-related bulk
16 drug substances in compounding may raise safety
17 concerns. In most clinical studies, Tal has not
18 been associated with significant adverse events
19 attributable to Tal when administered in doses in
20 the range of 1 to 16 milligrams via the subQ route
21 of administration for up to 12 months. The most
22 common adverse reactions reported included local

1 irritation, redness, or discomfort at the injection
2 site.

3 Although Tal has not been associated with
4 significant AEs attributable to Tal in the
5 literature, there may be concerns about its
6 clinical use in compounding. For example, it's not
7 clear whether the administration of Tal in patients
8 undergoing HSCT could lead to the development or
9 worsen GVHD and/or lead to engraftment failure.

10 In addition, safety data are insufficient to
11 evaluate the risks associated with the use of Tal
12 as a vaccine adjuvant with influenza vaccines
13 licensed for use in the United States and, finally,
14 as a peptide with 28 amino acids that is
15 administered through the subQ route, it may pose a
16 significant risk for immunogenicity. Importantly,
17 the highest strength identified to date in clinical
18 studies was 2 mgs per mL, and it's possible a more
19 concentrated solution could lead to aggregation,
20 and therefore increased immunogenicity potential.

21 Now, I will present information on the
22 effectiveness for each of the 12 uses we evaluated.

1 Here, we note that studies discussed in the next
2 set of slides for effectiveness administered Tal
3 via subQ administration unless otherwise noted. As
4 we need to cover a lot of information in our
5 effectiveness discussion, we will take a brief
6 five-minute break after our discussion of COVID-19.

7 First, we will discuss hepatitis B.
8 Hepatitis B infection can lead to chronic HBV,
9 which can cause liver damage, cirrhosis,
10 hepatocellular carcinoma, or HCC, and death. In
11 clinical practice, sustained HBV DNA suppression
12 and clearance of HBV surface antigen are associated
13 with improved outcomes and used to assess
14 therapeutic effect.

15 Per professional guidelines, preferred
16 therapies for chronic HBV include antiviral agents
17 and peginterferon. Oral antivirals or NRTIs,
18 entecavir and tenofovir, are administered long
19 term. Sixty-eight to 90 percent of patients
20 achieve undetectable HBV DNA levels after 48 weeks.
21 They have been shown to reduce the risk of chronic
22 HBV complications. These newer NRTIs are generally

1 associated with lower rates of resistance.
2 Peginterferon is administered for a finite duration
3 and is associated with lower HBV DNA suppression
4 rates. Of note, Tal is not mentioned in the
5 treatment guidelines.

6 We identified several studies that
7 administered Tal as monotherapy compared to
8 placebo, no treatment, or various doses of Tal.
9 Tal was administered at doses up to 1.6 milligrams
10 twice weekly for up to 12 months. The five studies
11 conducted from 1998 to 2005 reported mixed efficacy
12 results. One randomized, double-blind,
13 placebo-controlled trial showed no difference in
14 undetectable HBV DNA rates measured 6 months after
15 treatment.

16 Other studies with less rigorous design
17 showed undetectable HBV DNA rates of 15 to
18 30 percent. These studies were generally limited
19 by small study sizes, lack of blinding, HBV DNA
20 assays, with a limit of detection much higher than
21 current standards, i.e., assays used for
22 quantification of HBV DNA were much less sensitive

1 than current assays, and the interpretation of
2 older studies was complicated by the use of
3 composite endpoints.

4 We identified several studies that compared
5 Tal versus interferon-alpha monotherapy, an older,
6 currently non-preferred treatment for hepatitis B.
7 In these four studies, authors generally observed
8 higher rates of undetectable HBV DNA with the
9 interferon group at the end of treatment versus
10 Tal group at the end of follow-up. Surface antigen
11 data were not discussed. These studies had similar
12 limitations to those discussed in the previous
13 slide, as well as the use of an outdated
14 comparator, interferon-alpha.

15 Of note, peginterferon has replaced the use
16 of standard interferon because it's more effective
17 for serologic and virologic outcomes and is better
18 tolerated with less frequent dosing. Additionally,
19 standard interferon therapies have been
20 discontinued from marketing in the United States.

21 The next two slides focus on the use of Tal
22 in comparison to current preferred therapies.

1 There were two studies that compared peginterferon
2 monotherapy versus peginterferon in combination
3 with Tal. In a retrospective analysis from
4 Song et al., the virologic response rates were not
5 different between the two treatment arms. In the
6 open-label study from Kim et al., authors concluded
7 that the addition of Tal was not superior to
8 peginterferon alone. These trials had limitations,
9 as listed in the slide.

10 Finally, we identified one trial where Tal
11 was used in combination with entecavir. In this
12 randomized, open-label trial of entecavir
13 monotherapy versus entecavir with Tal, undetectable
14 HBV DNA rates were similar between groups at 52 and
15 104 weeks. Surface antigen clearance rates were
16 also similar between groups. Per authors, results
17 show that combination therapy has a similar effect
18 as entecavir monotherapy in mortality,
19 decompensation rate, HCC incidence, virological
20 response rate, and some additional measures.

21 In conclusion, we have insufficient evidence
22 to determine the effectiveness of Tal for HBV,

1 which can be serious. Available studies are
2 limited by design and the use of outdated assays.
3 While information from available published studies
4 suggests mixed efficacy results of Tal monotherapy,
5 we have limited information about its use with or
6 an alternative to current preferred therapies.
7 Available studies of Tal with current preferred
8 therapies such as peginterferon or entecavir
9 demonstrated unclear efficacy of Tal and have
10 limitations. There are FDA-approved drugs with
11 established efficacy for the treatment of chronic
12 hepatitis B.

13 Moving to hepatitis C, chronic hepatitis C
14 can lead to chronic infection, which can cause
15 liver disease, cirrhosis, HCC, or death. The
16 treatment goal is virologic cure as evidenced by
17 sustained virological response, or SVR, that is
18 undetectable HCV RNA months after completing
19 treatment. For treatment, currently recommended
20 therapies are oral direct-acting antivirals, which
21 are administered daily for 8 to 12 weeks. With
22 direct-acting antivirals, SVR rates of greater than

1 90 percent are observed with or without ribavirin.
2 Older, less effective therapies include interferon,
3 peginterferon, and ribavirin.

4 We identified one study that used Tal as
5 monotherapy for chronic hepatitis C. In this early
6 trial, no subject cleared HCV RNA. Tal has also
7 been studied in combination with older,
8 non-preferred treatments. Trials of Tal in
9 combination with interferon, with and without
10 ribavirin, were limited by study design, small
11 size, older assays, and limited SVR data. In
12 studies that reported SVR, rates ranged from
13 21 to 40 percent. One randomized, blinded
14 placebo-controlled trial of Tal in combination with
15 peginterferon and ribavirin showed an SVR of
16 12.7 percent with the Tal group versus 10.5 percent
17 in the placebo group. Authors stated that the
18 addition of thymosin alpha-1 to the standard of
19 care did not increase the on-treatment HCV viral
20 response.

21 In conclusion, there are insufficient data
22 to establish effectiveness of Tal to treat HCV

1 infection, which has the potential to be serious
2 and life-threatening. Tal was studied as an
3 alternative to or in combination with older
4 therapies with lower SVR than current standard of
5 care, oral direct-acting antivirals for which SVR
6 rates exceed 90 percent in many populations. There
7 are available FDA-approved therapies with
8 established efficacy for the treatment of chronic
9 hepatitis C.

10 Next, I will discuss HIV. HIV is a virus
11 that attacks the body's immune system. The key
12 component of the immune deficiency associated with
13 HIV is a marked reduction in CD4 positive T cells.
14 Current professional treatment guidelines recommend
15 initiating antiretroviral therapy as soon as
16 possible after diagnosis to reduce HIV-related
17 morbidity and mortality and to reduce transmission
18 risk.

19 Treatment-induced decreases in viral load
20 have been shown to be highly predictive, a
21 meaningful clinical benefit, and are validated in
22 clinically meaningful surrogate endpoints. Thus,

1 HIV viral load suppression is often a primary
2 endpoint in HIV treatment trials, while CD4 T cell
3 counts are included as secondary endpoints.
4 Monitoring lymphocyte subsets other than CD4 T cell
5 counts have not proven clinically useful and are
6 not recommended.

7 FDA identified five published studies using
8 Tal in subjects with HIV. These studies are broken
9 up into this slide and the next slide.

10 Schulof et al. evaluated Tal as monotherapy, while
11 other studies evaluated Tal as part of combination
12 therapy with antiretroviral drugs. None of the
13 four studies on this slide increased CD4 positive
14 T cell counts when Tal was added.

15 The fifth study by Garaci et al. randomized
16 subjects to four treatment groups. In the table,
17 we can see the four treatment groups along with the
18 mean CD4 T cell counts pretreatment and after
19 12 months. After 12 months of treatment,
20 CD4 counts were significantly increased in group 4
21 and persisted for up to 18 months; however, small
22 sample sizes, potential bias from the unblinded

1 study design, and the unknown contribution of Tal
2 to the immunomodulatory effect when combined with
3 zidovudine and interferon-alpha limits the
4 interpretability of the data.

5 In conclusion, there is insufficient
6 evidence to conclude that Tal is effective for the
7 treatment of HIV infection. These published
8 studies were not adequately designed.
9 Additionally, CD4 T cell counts and/or
10 laboratory-based immune parameters are not
11 validated surrogate endpoints. None of these
12 studies demonstrated statistically significant
13 effects on clinical endpoints such as prevention of
14 AIDS-defining illness or death, or on the validated
15 surrogate endpoint of HIV viral load. Finally,
16 there are numerous FDA-approved drug products for
17 the treatment of HIV.

18 Next, I will discuss COVID-19. COVID-19 is
19 the disease caused by SARS-CoV-2. Early in the
20 clinical course, the disease is primarily driven by
21 the replication of SARS-CoV-2. Later in the
22 clinical course, it's driven by a dysregulated

1 immune/inflammatory response to infection that may
2 lead to further tissue damage and thrombosis. FDA
3 was not able to find literature to discuss the use
4 of Tal in children with COVID-19 or adults with
5 COVID-19 in the outpatient setting. Thus, our
6 discussion focuses on the use of Tal in adults with
7 acute COVID-19 in the hospital setting.

8 This table lists the four meta-analyses
9 included in the evaluation. Liu et al. 2022
10 reported that there was no association between Tal
11 treatment and mortality. Shang et al. 2023
12 reported that Tal therapy had no statistically
13 significant effect on mortality. Wang et al. 2023
14 reported that no differences were found in
15 mortality or length of hospitalization between
16 subjects who did and did not received Tal.
17 Soeroto et al. 2023 reported that use of Tal was
18 associated with a lower mortality rate, but
19 treatment with Tal did not reduce the need for
20 mechanical ventilation, nor did it reduce the
21 length of hospital stay. In conclusion, three of
22 the four meta-analyses concluded that there was no

1 decrease in mortality in subjects treated with Tal.

2 There were three additional references not

3 discussed in the meta-analyses. Tuthill et al.

4 2023 was a prospective trial in adults with

5 end-stage renal disease. This study has not been

6 completed and results were not reported.

7 Wang et al. and Wu et al. were retrospective cohort

8 studies in adults hospitalized with COVID-19.

9 Limitations for the studies include limited

10 sample sizes and deficient study designs, as well

11 as the use of other medications. In addition, most

12 of the studies were conducted early in the

13 pandemic, and it's unclear if the outcomes will be

14 reproducible given the current circulating viral

15 strain and changes in treatment since these studies

16 were completed.

17 There was heterogeneity between studies in

18 the definition of disease severity and dose and

19 frequency of exposure to Tal, making it difficult

20 to compare study outcomes. In conclusion, there is

21 insufficient information concerning effectiveness

22 to support the use of Tal for the treatment of

1 COVID-19. IDSA, NIH, and WHO treatment guidelines
2 do not discuss the use of Tal for COVID-19, and
3 there are FDA-approved therapies with established
4 efficacy for the treatment of COVID-19.

5 Thank you. This concludes this portion of
6 our presentation. After a short break, we will
7 return to discuss the available evidence of
8 effectiveness, or lack of effectiveness, for the
9 remaining uses.

10 (Pause.)

11 DR. STEVENSON: Hi. This is Takyiah
12 Stevenson, DFO. While we're on this brief break,
13 just a reminder for the panel members to please
14 don't leave your seats or leave the Zoom room. We
15 will resume shortly. Thank you.

16 (Pause.)

17 DR. HANKLA: We just wanted to give everyone
18 a couple minutes to digest, and I wanted a taste of
19 water, so I appreciate the short break.

20 Welcome back. We will now continue our
21 discussion on the effectiveness of Tal. Next, we
22 will discuss adjuvant to influenza vaccines. FDA

1 identified five clinical studies evaluating Tal as
2 an adjuvant to influenza vaccine in elderly or
3 patients on hemodialysis. Of these, two clinical
4 studies were retrieved in abstract form, and we're
5 not able to identify full-text articles. These
6 five published studies administered Tal at
7 different time points in relation to vaccination
8 with monovalent or trivalent influenza vaccines.
9 Interpretation of effectiveness in these studies is
10 limited, as will be discussed in the next slide.

11 Limitations of the studies for this use are
12 listed. ELISA was used in most studies; however,
13 ELISA is not an accepted methodology used by FDA to
14 evaluate immune responsiveness. Studies had
15 exploratory design without formal hypothesis
16 testing and prespecified immunogenicity or efficacy
17 endpoints.

18 Importantly, control groups were missing or
19 inadequate, and data quality were difficult to
20 interpret. For example, studies did not use
21 influenza vaccines recommended in the U.S. for use
22 in the populations considered. Overall, the

1 studies were not properly controlled and did not
2 produce meaningful data on use of Tal with
3 influenza vaccines. In conclusion, there's a lack
4 of sufficient evidence to determine any conclusions
5 on the effectiveness of Tal as a vaccine adjuvant.

6 Next, I will discuss malignant melanoma. We
7 identified four published studies using Tal in
8 patients with metastatic melanoma, which is a
9 subtype of melanoma. The first study by
10 Maio et al. is described in this slide. This study
11 was an exploratory, multicenter, open-label,
12 randomized study in 488 patients with stage 4
13 melanoma and unresectable metastases. Patients
14 with previous chemotherapy were not eligible for
15 inclusion in this study. Patients were randomized
16 to 1 of 5 treatment groups as shown in the table on
17 the slide.

18 Patients received various combinations of
19 dacarbazine, interferon-alpha, and Tal. The
20 primary endpoint of the study was best overall
21 response rate at 12 months. Overall response rates
22 at study completion are shown in the table. The

1 study failed to show a significant difference in
2 the overall response rate with any Tal-containing
3 regimen compared to the control group.

4 Furthermore, in terms of the dose response, the
5 lower response rate of group 3 compared with
6 group 2 suggests that these findings may be due to
7 chance. Importantly, the study was conducted when
8 chemotherapy and either interferon or interleukin
9 were the standard of care.

10 We identified three additional studies using
11 Tal in patients with metastatic melanoma, two
12 single-arm studies where Tal was used in
13 combination with interferon-alpha or interleukin-2
14 and one retrospective review. These studies were
15 limited by their design, and it was not possible to
16 identify the contribution of Tal to the observed
17 treatment effect.

18 In conclusion, the studies investigating the
19 use of Tal in melanoma to date are insufficient to
20 demonstrate the effectiveness of Tal. Published
21 studies used controlled data from inferior,
22 outdated regimens. Statistically significant,

1 clinically meaningful improvements in Tal-treated
2 melanoma patients were not demonstrated. The
3 existence of FDA-approved drugs to treat the
4 disease and the lack of rigorous data demonstrating
5 the benefit of Tal for use in patients with
6 malignant melanoma weigh against including Tal on
7 the list, particularly in light of it being a
8 serious or life-threatening disease.

9 Next, I will discuss hepatocellular
10 carcinoma or HCC. We identified two studies that
11 used Tal with transarterial chemoembolization or
12 TACE. The first study was a single-arm study in
13 12 patients; however, the study had limitations,
14 including the study design and small sample size.
15 The second study from Gish et al. was a randomized
16 pilot study in 25 patients. Patients were
17 randomized to receive TACE plus Tal or TACE alone
18 for 24 weeks. Authors did not find a difference in
19 the response rate or median overall survival
20 between the treatment and control groups. The
21 study was designed primarily as a safety study, and
22 authors recognized the need for a larger phase 3

1 trial in this setting.

2 Next, we identified one study that
3 administered Tal after hepatectomy and with TACE to
4 reduce recurrence. Cheng et al. 2004 was a
5 randomized-controlled trial in 57 patients, 18 of
6 which received Tal. Authors did not find a
7 difference in the one-year recurrent rate but did
8 find a difference in the median overall survival.
9 Of note, the overall survival rates in the control
10 arm are much shorter than would be expected based
11 on other published reports, which raises concerns
12 over the reported data. Upon reanalysis of the
13 data from the study, a Cochrane review published in
14 2011 did not find a statistically significant
15 difference in either the overall survival or
16 disease-free survival.

17 We identified five additional studies using
18 Tal in patients with HCC that are listed on this
19 slide. Four studies evaluated Tal post-hepatectomy
20 to reduce recurrence, and one study evaluated Tal
21 after liver transplantation to reduce recurrence.
22 These studies were limited by their design, and it

1 was not possible to identify the contribution of
2 Tal to the observed treatment effect.

3 Limitations of these studies include the
4 retrospective design; small patient numbers;
5 studies were not adequately designed to assess the
6 study endpoints; and in some studies, patients
7 received other therapy in combination with Tal and
8 were not designed to demonstrate the contribution
9 of Tal; and they were mostly single-center studies
10 that were geographically limited. Thus, the
11 results may not be directly applicable to patients
12 in the U.S.

13 In conclusion, there is insufficient
14 evidence to demonstrate the effectiveness of Tal as
15 a treatment option for HCC. In the two
16 randomized-controlled trials identified to date,
17 authors did not find a difference in the one-year
18 recurrent rate or response rate and median overall
19 survival between the treatment and control groups.
20 There are no FDA-approved products for HCC in the
21 adjuvant setting; however, there are FDA-approved
22 products for the treatment of unresectable HCC.

1 Next, I will discuss non-small cell lung
2 cancer. Two randomized-controlled trials evaluated
3 Ta1 in non-small cell lung cancer. These studies
4 are nearly 40 and 30 years old, respectively.
5 Although there were improvements in both
6 relapse-free survival and overall survival for the
7 Ta1 treated groups when compared with the placebo
8 group in the 1985 study by Schulof et al., we agree
9 with the study authors' conclusions that definitive
10 conclusions regarding the impact of thymosin
11 therapy on survival can only be ascertained in
12 large-scale, multi-institutional trials.
13 Furthermore, these studies are inconsistent with
14 contemporary management of patients with non-small
15 cell lung cancer with placebo controls and without
16 genetic testing.

17 In the study by Salvati et al., the median
18 overall survival in the ifosfamide chemotherapy arm
19 was 16 weeks, and in the combined chemotherapy,
20 plus Ta1, plus interferon arm was 24 weeks;
21 however, based on the two-arm study design where
22 several drugs were administered, it's not possible

1 to determine the contribution of Tal administration
2 to the overall treatment effect of the regimen.

3 We identified five additional studies using
4 Tal in patients with non-small cell lung cancer
5 that are listed on this slide. These studies were
6 limited by their design, and it was not possible to
7 identify the contribution of Tal to the observed
8 treatment effect.

9 The ability of these studies to establish
10 the effectiveness of Tal in patients with non-small
11 cell lung cancer are limited by small sample sizes,
12 advances in staging and genetic characterization
13 since these studies were conducted, and changes
14 regarding U.S. standards of care and available
15 therapies. Furthermore, the controlled therapies
16 received are not consistent with U.S. standards of
17 care for patients with non-small cell lung cancer
18 without actionable genomic alterations. It's
19 unknown whether the addition of Tal to contemporary
20 treatment regimens would have resulted in clinical
21 benefit for these patients.

22 In conclusion, there's insufficient evidence

1 to demonstrate the effectiveness of Tal as a
2 treatment option for non-small cell lung cancer.
3 It is unknown whether the addition of Tal to
4 contemporary U.S. standards of care would have
5 resulted in clinical benefit for the patients
6 included in the clinical studies. Non-small cell
7 lung cancer is a serious disease. There are
8 several FDA-approved drug products which have
9 contributed to a sharp decrease in the
10 disease-related mortality.

11 Next, I will discuss sepsis. We identified
12 four full-text articles in English using Tal in
13 subjects with sepsis. This slide describes the
14 first two articles. Wu et al. conducted a
15 single-blind, randomized-controlled study to
16 evaluate the efficacy of Tal in 361 patients with
17 severe sepsis. The study showed that in the
18 time-to-event analysis, patients in the Tal group
19 survived longer after enrollment than the control
20 group; however, it did not show a significant
21 difference in the overall absolute reduction in
22 mortality, ICU mortality, length of ICU stay, and

1 duration of ventilation. Authors concluded that
2 larger multicenter studies are needed. Pei et al.
3 conducted a post hoc analysis of the study by
4 Wu et al., however, there were no results for the
5 subpopulation of patients who received Tal.

6 The third study was a randomized-controlled
7 trial in septic shock patients who received Tal
8 plus blood purification versus control. All
9 subjects received ulinastatin, which is not
10 approved in the United States. Although the
11 Tal-treated group showed significant improvements
12 in the T lymphocyte subsets, inflammatory
13 cytokines, and myocardial function, it showed no
14 difference in survival.

15 The fourth study by Wang et al. was a small
16 double-blind pilot study in patients with severe
17 acute pancreatitis that showed a significant
18 reduction in the rate of positive blood and
19 abdominal drainage cultures, and the rate of
20 surgery. The patient population with severe
21 pancreatitis is representative of only a subset of
22 patients with sepsis.

1 We note several limitations. All clinical
2 studies were conducted in China. Based on the
3 studies reviewed in this section, treatment of
4 sepsis in China may not be reflective of the U.S.
5 medical practice. Due to several limitations, we
6 conclude that there is insufficient information to
7 support the effectiveness of Tal for the treatment
8 of sepsis. Published clinical trials show that Tal
9 may affect biomarkers of immune function; however,
10 the majority do not provide evidence of meaningful
11 clinical benefit of Tal in the treatment of sepsis,
12 reduction in mortality, or need for organ support.

13 Next, I will discuss infections after
14 hematopoietic stem cell transplantation or HSCT.
15 HSCT can be defined as the transfer of
16 hematopoietic stem cells from one individual to
17 another or the re-administration of previously
18 harvested cells to the same individual. Major
19 causes of early morbidity or mortality for patients
20 who undergo stem cell transplant are disease
21 relapse; acute graft versus host disease;
22 infection; regimen-related toxicity; and graft

1 failure. Endpoints that can translate to
2 meaningful clinical benefit and survival include
3 decrease in the infection rate and hematopoietic
4 recovery endpoints; for example, time to neutrophil
5 recovery in addition to decrease in infection rate.

6 We identified two published studies and one
7 abstract using Tal in subjects who were stem cell
8 recipients. The first study from Perruccio et al.
9 2010 was a single-arm study in 14 stem cell
10 recipients who received Tal for 16 weeks
11 post-transplant. Authors concluded that Tal may
12 favorably affect immune function but recognized
13 that larger number of subjects and longer follow-up
14 are needed to assess its impact on survival.

15 The second study was published as a meeting
16 abstract. Perruccio et al. 2011 was a study in
17 30 stem cell recipients who received Tal from the
18 day of transplantation for 16 weeks. Authors
19 reported a potential improvement in non-relapse
20 mortality, but the details needed to assess the
21 impact of Tal are lacking because it was a meeting
22 abstract.

1 The third study by Ding et al. was a case
2 series of 8 stem cell recipients that received Tal
3 or standard of care for 4 weeks. The infection
4 rate did not decrease in the small study, and it
5 appears that the infection rates were increased in
6 the Tal-treated group.

7 Limitations of the studies include
8 heterogeneous populations; small sample sizes;
9 limited duration of follow-up; lack of clinically
10 meaningful endpoints; and unclear clinical
11 relevance of the measured markers, among others.

12 In conclusion, these studies do not provide
13 evidence that Tal reduces infection and/or
14 infection-related mortality after HSCT.

15 Next, I will discuss COPD. We identified
16 two published studies using Tal in patients with
17 COPD exacerbations. The first was a double-blind,
18 randomized trial in patients with acute
19 exacerbation of COPD who received unspecified
20 routine complex treatment and either Tal or placebo
21 for 4 weeks. Authors state that pulmonary function
22 and blood gas indicators improved in both groups

1 but were more pronounced in the experimental group;
2 however, no details of the results were provided.

3 The second study was a study in elderly
4 patients with an acute attack of COPD with
5 respiratory failure who received theophylline
6 sustained release or theophylline sustained release
7 and Tal IV for 4 weeks. Authors state that both
8 groups improved after treatment with better effects
9 observed in the study group in terms of pulmonary
10 function, blood gas indicators, and exercise
11 ability. Authors noted that further trials are
12 required prior to application in clinical practice.

13 Both studies had limitations, including
14 small sample sizes with short durations; lack of
15 sufficient details about statistical methodology
16 and other study design elements; lack of meaningful
17 clinical endpoints; and a lack of details on other
18 COPD medications. In conclusion, there is a lack
19 of evidence to support the effectiveness of Tal for
20 the treatment of COPD. While authors claim that
21 study suggests there are better effects observed in
22 those who received Tal compared to the control

1 group, the available information has limitations,
2 as shown in the list above.

3 Finally, I will touch on myalgic
4 encephalomyelitis and chronic fatigue syndrome or
5 ME/CFS. FDA did not identify any data to support
6 the effectiveness of Tal in the treatment of
7 ME/CFS.

8 Considering all of the effectiveness
9 information, we conclude there's a lack of evidence
10 to support the effectiveness of subQ administered
11 Tal free base and Tal acetate for the evaluated
12 uses. None of the clinical practice guidelines for
13 U.S. health professionals recommend Tal free base
14 or Tal acetate. Studies on the serious and
15 life-threatening conditions considered in the
16 evaluation of effectiveness of Tal were
17 inconclusive and limited by small sample sizes and
18 study design deficiencies. There are multiple
19 FDA-approved drug products indicated for use in
20 treatment of many of the conditions evaluated.

21 On balance, the physiochemical
22 characterization, information on historical use,

1 lack of evidence of effectiveness, and safety
2 information identified for both Tal free base and
3 Tal acetate weigh against them being added to the
4 503A Bulks List. In particular, FDA's proposal
5 regarding these substances is based on the fact
6 that Tal free base and Tal acetate are not well
7 characterized from a physiochemical perspective,
8 and it's unclear how it will be possible to
9 formulate the proposed injectable form as an
10 aqueous solution with a concentration of
11 3 mgs per mL.

12 There is insufficient safety information on
13 the use of the substances and a lack of information
14 about immunogenicity risks. There's also a lack of
15 evidence of effectiveness of these substances for
16 use in the conditions evaluated and the existence
17 of FDA-approved drugs to treat most of these
18 conditions, particularly in light of them being
19 serious and/or life-threatening conditions. After
20 considering the information currently available, a
21 balancing of the four evaluation criteria weighs
22 against Tal free base and Tal acetate being added

1 to the 503A Bulks List. Thank you very much. This
2 concludes our presentation.

3 **Clarifying Questions from the Committee**

4 DR. REBELLO: Thank you, Drs. Hankla and Li.

5 We will now take clarifying questions to the
6 presenters. When acknowledged, please remember to
7 state your name for the record before you speak and
8 direct your question to the specific presenter, if
9 you can. If you wish for a specific slide to be
10 displayed, please let us know the slide number, if
11 possible. Finally, it would be helpful to
12 acknowledge the end of your question with a thank
13 you and the end of your follow-up question with,
14 "That is all for my questions," so we can move on
15 to the next panel member.

16 Are there any clarifying questions for the
17 presenters?

18 Dr. Gura?

19 DR. GURA: Yes. Hi. Thank you for a great
20 overview. I'm kind of confused because there is a
21 product known as Zadaxin. Where does that fit in
22 the whole scenario? That is FDA approved, and this

1 does have orphan status; correct? So I'm just
2 wondering how these all fit together. Thank you.

3 DR. HANKLA: Zadaxin or thymosin alpha-1,
4 any products containing thymosin alpha-1 are not
5 approved in the United States by FDA. There are
6 foreign marketed products, as mentioned in the
7 historical use section, as Zadaxin is approved in
8 other countries. In terms of orphan drug
9 designation, there are four orphan drug
10 designations for thymosin alpha-1, but just to note
11 here that designation as an orphan drug qualifies
12 sponsors for certain incentives, but it's a
13 separate process from FDA approval. So the drugs
14 for rare diseases must still go through the same
15 rigorous scientific review process as any other
16 drug for approval or licensing.

17 Does that help?

18 DR. GURA: Well, like I said, I was just
19 looking online, and I see a lot of conflicting
20 information. That's why I'm trying to double-check
21 what the real deal is. Right now, I'm seeing so
22 many citations saying it is FDA approved, and I

1 haven't had a chance to go into my Lexicomp
2 database. But I'm just curious because I am
3 confused.

4 DR. HANKLA: Elizabeth Hankla again. It is
5 not FDA approved.

6 DR. GURA: Thank you.

7 DR. STAAS: If I may, this is Donnette Staas
8 from Jazz. If you go to the drugs at FDA website,
9 there's a really nice database where you can
10 actually look at drugs that are actually approved
11 by the FDA.

12 DR. REBELLO: Thank you.

13 Dr. Gans online has a question.

14 Dr. Gans?

15 DR. GANS: Thank you so much. I just really
16 do want to applaud that amazing review of the
17 literature that is out there, so thank you for
18 that. I did have one quick question maybe just
19 broadly for the FDA. I was unaware of why the
20 submission to the FDA was actually withdrawn, and I
21 just wondered if we could have any additional
22 information on that to think about what we're

1 voting on today.

2 MS. BORMEL: This is Gail Bormel. The
3 agency doesn't generally comment publicly on
4 litigation, so I'm not really able to talk much
5 about that, but it is important that even though
6 the nominations were withdrawn, we can elect to
7 review the information and bring it to the PCAC.

8 DR. REBELLO: We have another question
9 online from Dr. Corbett.

10 Dr. Corbett?

11 DR. CORBETT: Hi. It's Amanda Corbett.
12 Along those lines, just checking, since the
13 nominator withdrew this application, assuming if it
14 were not allowed to be on the bulk list now, is it
15 a possibility that any of this product could have a
16 nomination in the future? Is there anything to
17 preclude that from happening in the future?

18 MS. BORMEL: This is Gail Bormel. We are
19 bringing the bulk drug substances to the committee
20 for their recommendation, so the process after that
21 would be to conduct rulemaking. So those bulk drug
22 substances that were addressed would either be

1 proposed or not proposed for the rule. There's
2 nothing in the future that would preclude an entity
3 or someone from asking us to address it again. I'm
4 not sure it will be a nomination. It might be a
5 nomination or another type of vehicle like a
6 citizen petition.

7 DR. CORBETT: Okay. Thank you.

8 DR. REBELLO: Dr. Ghany, did you have a
9 question?

10 DR. GHANY: I did, yes. Marc Ghany. My
11 question is to Dr. Hankla. In the application, did
12 they outline how they would expect to use this?
13 What was the regimen, in particular, the dosing
14 frequency and the duration; and would it be
15 different for different diseases?

16 DR. HANKLA: Elizabeth Hankla. No.
17 Unfortunately, we don't have that information in
18 the nominations that we get. We usually don't get
19 dosing information, how long it'll be given
20 post-dosage and that sort of information.

21 MR. WESDYK: If I could just add to that,
22 one of the things that came in the nomination that

1 caught our attention was that they were proposing a
2 strength that was twice what we've seen in any of
3 the previous clinical studies and all the history.
4 Almost everything up there was 1.6 mgs per mL, if I
5 recall. What was proposed was 3 mgs per mL, and
6 that's as much as we know.

7 DR. REBELLO: Dr. Gulur online has a
8 question.

9 Dr. Gulur?

10 DR. GULUR: Thank you. If I could just get
11 a clarifying answer to this; once a substance is
12 placed on the bulks list, is there a regulation, or
13 is there any indication or direction on what dose
14 can be used, et cetera, or is it just that it
15 allows people to compound, and the clinical
16 regimens are left to the prescriber?

17 MS. BORMEL: This is Gail Bormel. Once a
18 bulk drug substance is placed on the 503A Bulks
19 List, it will be left up to the prescriber for the
20 route of administration and the dosage.

21 DR. GULUR: Thank you.

22 DR. REBELLO: Dr. Clark?

1 DR. CLARK: Yes. I have two questions. One
2 was on Dr. Hankla's presentation, slide 63. The
3 improvements in immune function, were those
4 in vitro responses, do you know, or what led them
5 to conclude that Tal was helpful for immune
6 function?

7 DR. HANKLA: Elizabeth Hankla. Can you
8 bring up slide 63?

9 DR. CLARK: Sorry. This was Perruccio 2010.

10 DR. HANKLA: Apologies. Can you repeat your
11 question again?

12 DR. CLARK: Yes. The author's conclusion
13 was that it may favorably affect immune function,
14 and there wasn't data in the background information
15 about this either. I was just wondering what
16 assays were used or if you knew what they were
17 referring to there, if it was just in vitro
18 assessments or how they were measuring that.

19 DR. HANKLA: Elizabeth Hankla. I believe
20 they were in vitro like T cell responses to
21 specific viruses. I would have to look up
22 specifically what it was.

1 DR. CLARK: Okay.

2 I have one other unrelated question. On the
3 CoAs that we've been talking about, for the drugs
4 that have made it to the bulk drug substances list,
5 are those CoAs significantly different than what
6 we've seen today in terms of detail, a list of the
7 impurities? How do nominators know what to include
8 there?

9 MR. WESDYK: Excuse me. Russ Wesdyk, FDA.
10 It will vary from drug substance to drug substance.
11 I'm going to go back to my old example. For
12 something like aspirin, it's extraordinarily well
13 known, we're less concerned with certain aspects of
14 it. For a large peptide where there are
15 immunogenicity concerns and impurities can have
16 such a dramatic impact, we're looking for more
17 information on impurities in order to ensure
18 patient safety. So it will vary from product to
19 product.

20 DR. CLARK: Can the FDA require exactly
21 what's put on those so that the nominators know
22 what you're looking for?

1 MR. WESDYK: Gail, I'm not sure. That's
2 more of a legal question. I'm not sure --

3 MS. BORMEL: If we can impose certain CoA
4 requirements; is that what you're asking?

5 DR. CLARK: Yes. I guess, even on the
6 suppliers, to know what the pharmacies are getting
7 is pure.

8 MS. BORMEL: Yes. I think what's in the
9 statute now just generally talks about a valid
10 certificate of analysis. We haven't further
11 defined that. Is it possible that there could be
12 in the future a definition of that? Yes, but
13 again, part of the issue is going to be
14 standardizing different elements, different
15 substances for one standard. So that may not be as
16 clear as when there is, for example -- we're not in
17 this realm, but when you're talking about a USP
18 monograph, which it's a public standard. Just to
19 answer your question simply, it's possible that
20 there could be a further definition, guidance,
21 about what a valid CoA is.

22 DR. CLARK: Thank you. That's it.

1 DR. REBELLO: Just a friendly reminder to
2 state your name before you ask the question. We
3 actually have a queue, so the next person is
4 actually Dr. Gans online, followed by Dr. Kris,
5 followed by Thomas Lupton, but I'll call on each of
6 you.

7 Dr. Gans?

8 DR. GANS: Thank you.

9 DR. REBELLO: State your name.

10 DR. GANS: Hayley Gans, Stanford. I had a
11 general question again, and it goes along the lines
12 of I think what we're slightly grappling
13 with -- with that amazing literature review, which
14 we now have have to use that as opposed to some
15 kind of nomination -- is that it's been used in
16 many different ways, and doses, and all that sort
17 of stuff.

18 So I guess my general question is -- and I'm
19 assuming the answer to this is no -- what we decide
20 here today really does not inhibit people further
21 studying the use of Tal? I think in certain
22 populations, given in certain ways, typically it's

1 been used later than we would have liked, and that
2 there actually may be some benefit. But that's a
3 very specific study question, and I guess my
4 question is, there's nothing that we do today to
5 discourage people from continuing to look at ways
6 in which it might be helpful to certain disease
7 states. For instance, primary immunodeficiency
8 wasn't evaluated, but there are some suggestions
9 that it might be helpful in people who are
10 deficient in Tal.

11 MS. BORMEL: This is Gail Bormel, if I can
12 respond. No. The decisions today are separate
13 from whether a study could continue to occur, but
14 there are parameters for studies. They involve
15 informed consent and a protocol, et cetera. We
16 talked a little bit about the IND process and
17 expanded access, et cetera.

18 What this process today is, is about whether
19 to place thymosin alpha-1 and the substances on the
20 503A Bulk Drug List. And what that means is if
21 it's placed on the list, then pharmacies can use
22 that as a substance in compounding, pursuant to a

1 valid patient specific prescription, but there's no
2 study that would need to occur, formally, and no
3 other parameters on that.

4 DR. GANS: Great. That's what I thought.
5 Thank you.

6 DR. REBELLO: Next, we have Dr. Kris.

7 DR. KRIS: Mark Kris. Are there any INDs in
8 existence now, for this?

9 MS. BORMEL: This is Gail Bormel. I don't
10 believe we can comment on that right now.

11 DR. KRIS: Okay.

12 DR. REBELLO: Next, Dr. Lupton.

13 DR. LUPTON: Thomas Lupton; a quick comment
14 regarding the CoA process. We have the number of
15 CoAs in the packets, and I appreciate that, but the
16 CoAs reflect the suppliers QC testing of their
17 product. That does not mean that that item is
18 sufficient for the compounding formulation that
19 that pharmacy is completing. So it's up to that
20 pharmacy to demonstrate that that CoA meets their
21 internal requirements based off their formulation
22 and data.

1 DR. REBELLO: A question online from
2 Dr. Corbett.

3 Dr. Corbett?

4 DR. CORBETT: One of my questions was
5 answered about the IND can't be addressed, it
6 sounds like. But in addition to that, are you able
7 to answer if an NDA was ever submitted as a
8 pharmaceutical agent to the FDA at any time? And I
9 assume not approved, but I'm just curious if that
10 had been done since it's approved at least in other
11 countries, and if it was ever presented to the FDA
12 as a pharmaceutical agent.

13 DR. SHETTY: Daiva Shetty, FDA. We may have
14 had several communications with different proposals
15 for Tal in development, but we are not aware of any
16 NDA that was submitted and approved or not
17 approved. We don't have approval for Tal for any
18 condition.

19 DR. CORBETT: Okay. Thank you.

20 DR. REBELLO: Next, we have Dr. Gura.

21 MR. WESDYK: Sorry. If I could just add to
22 that?

1 DR. REBELLO: Oh, sure. Go ahead.

2 MR. WESDYK: Russ Wesdyk, FDA. The only
3 thing I would add -- because this is definitely the
4 land of Daiva -- is SciClone's press releases have
5 made clear -- so this is public information -- that
6 they were initiating a phase 3 trial in the U.S.
7 There's been no communication since from SciClone.

8 DR. GURA: Kathy Gura, Boston Children's.
9 If we decide as a group that this does not make the
10 list, would clinicians still have access to this
11 product under expanded access, or could they import
12 using Zadaxin, for example, now that I've been
13 educated that it's not available in the U.S., but
14 they can import it using expanded access, or an
15 EIND route, or something like that? So patients
16 who need it might benefit as a last-ditch effort;
17 they'd still have access to it?

18 DR. SHETTY: This is Daiva Shetty, FDA.
19 Yes, there is always availability through expanded
20 access or the IND route.

21 DR. REBELLO: Next, Dr. Pau.

22 DR. PAU: Kind of similar to your question,

1 Dr. Gura -- this is Alice Pau from NIH -- is my
2 ignorance that early on in one of the presentations
3 was you can get online injectable or nasal spray.
4 My question is, whether the online products are
5 from another country, therefore one can get online,
6 or whether those are companies that are making them
7 in the U.S. without having any kind of approval,
8 bulk compounding, or any other approval. This is
9 my ignorance. I just didn't know how that happens.

10 MS. BORMEL: This is Gail Bormel. With the
11 internet, there are always products that are
12 available that are sometimes counterfeit, sometimes
13 illegal, and a lot of different products that would
14 fall into that category. Sometimes they're from
15 foreign manufacturers, manufacturers abroad or a
16 foreign entity. So there are a lot of things on
17 the internet that may not be legal.

18 That's a separate aside from what we're
19 talking about now, which is the legitimate practice
20 of pharmacy compounding, which falls within
21 Section 503A. So to the extent that pharmacies are
22 compounding in compliance with the applicable

1 federal provision, as well as their state laws,
2 that would be legal. There are a lot of things
3 online that are available through websites that may
4 very well be not legitimate.

5 DR. REBELLO: Dr. Janet Lee online.

6 DR. J. LEE: Thank you. Washington
7 University in St. Louis. I had a question in
8 reference to one of the statements that was
9 previously made, that one aspect was the
10 concentration that was proposed for the injectable
11 product is greater than what had been shown or in
12 the literature review. Am I to understand that
13 none of the studies -- and it was a very
14 comprehensive review, so thank you very much -- the
15 clinical studies, had ever used that concentration
16 subQ? Thank you.

17 DR. HANKLA: Hi. Elizabeth Hankla. In
18 terms of the clinical studies, the highest
19 concentration we saw used was 2 mgs per mL.

20 DR. J. LEE: Thank you.

21 DR. REBELLO: Are there any other questions
22 either from our in-person group or online?

1 Brian Lee?

2 DR. B. LEE: Hi. Brian Lee from University
3 of Southern California. Just to clarify, placing a
4 drug on the 503 list, that makes it legal for
5 pharmacies to compound that drug. If a drug is not
6 on that list, does that make it illegal for
7 pharmacies to compound that drug with enforcement?

8 MS. BORMEL: Hi. This is Gail Bormel. If a
9 bulk drug substance is placed on the 503A Bulks
10 List, that is one of the conditions of the Act that
11 allows you to be exempt from certain provisions
12 like getting a new drug application or having
13 adequate directions for use, or in the case of
14 compounders, pharmacy compounders from complying
15 with current good manufacturing practices. So it
16 makes it, essentially, yes, a legal practice, if
17 all the other parameters of that provision are met.

18 If the bulk drug substance is not placed on
19 the 503A Bulks List and a pharmacy would compound
20 with it, it means they would no longer be exempt
21 from certain provisions of the Act. They'd have to
22 get a new drug application, they'd have to have

1 adequate directions for use, and they would have to
2 comply with cGMPs. So it's a little bit of a twist
3 on what you said.

4 And remember, after the committee makes its
5 recommendation, we go through a rulemaking process,
6 so there's a proposed rule for which the public can
7 provide comments before there is a final rule to
8 actually finalize the list. I just wanted to point
9 that out as well.

10 DR. B. LEE: Thank you.

11 DR. REBELLO: Are there any other comments
12 or questions from the group?

13 Yes?

14 DR. HANKLA: Hi. Elizabeth Hankla. I just
15 want to loop back.

16 Dr. Clark, I looked at that article. They
17 measured T cell counts and functional pathogens,
18 specific T cells responses by a limiting dilution
19 assay. Thanks.

20 DR. REBELLO: Thank you for that additional
21 information.

22 Any other questions or comments?

1 (No response.)

2 DR. REBELLO: Online, any questions or
3 comments?

4 (No response.)

5 DR. REBELLO: I want to thank everyone for
6 their questions, comments, and FDA for their
7 responses.

8 We'll now take a 10-minute break, so we'll
9 reconvene at 2:32 to continue the thymosin
10 alpha-1-related bulk drug substance topic. Panel
11 members, please remember that there should be no
12 discussion of the meeting topic during the break
13 amongst yourselves or any member of the audience,
14 and we'll see you all at 2:32. Thank you.

15 (Whereupon, at 2:22 p.m., a recess was taken,
16 and meeting resumed at 2:32 p.m.)

17 DR. REBELLO: Before we resume, FDA has a
18 comment. Go ahead.

19 MS. BORMEL: Thank you. This is Gail
20 Bormel. I was just taking a look at the final
21 rules and the proposed rules for the 503A bulk
22 substances, and I have noticed that for many of the

1 bulk drug substances that were -- well, some that
2 were finalized and many that were proposed, we have
3 limited it for route of administration, and in some
4 cases, there are percentages that have also shown
5 up as proposed limits.

6 So if that's, in part, what was evaluated at
7 the time by the agency and then brought to the
8 PCAC, they made it into the proposal. I didn't
9 want to leave with the impression that it couldn't
10 be limited at all, the bulk drug substance, because
11 many of them in the proposed rule, and in the final
12 rule, are at least limited by route of
13 administration.

14 DR. REBELLO: Thank you. Thank you for
15 providing that information.

16 MS. BORMEL: Two of them that I see in the
17 proposed rulemaking are also limited in
18 concentration.

19 **Open Public Hearing**

20 DR. REBELLO: Great. Thank you.

21 We will now begin the open public hearing
22 session.

1 Both the Food and Drug Administration and
2 the public believe in a transparent process for
3 information gathering and decision making. To
4 ensure such transparency at the open public hearing
5 session of the advisory committee meeting, FDA
6 believes that it is important to understand the
7 context of an individual's presentation.

8 For this reason, FDA encourages you, the
9 open public hearing speaker, at the beginning of
10 your written or oral statement to advise the
11 committee of any financial relationship that you
12 may have with the product, and if known, its direct
13 competitors. For example, this financial
14 information may include the payment by a bulk drug
15 supplier or compounding pharmacy of your travel,
16 lodging, or other expenses in connection with your
17 attendance at this meeting. Likewise, FDA
18 encourages you, at the beginning of your statement,
19 to advise the committee if you do not have such
20 financial relationships. If you choose not to
21 address this issue of financial relationships at
22 the beginning of your statement, it will not

1 preclude you from speaking.

2 The FDA and this committee place great
3 importance in the open public hearing process. The
4 insights and comments provided can help the agency
5 and this committee in their consideration of the
6 issues before them. That said, in many instances
7 and for many topics, there will be a variety of
8 opinions. One of our goals for today is for this
9 open public hearing to be conducted in a fair and
10 open way, where every participant is listened to
11 carefully and treated with dignity, courtesy, and
12 respect.

13 For those presenting virtually, please
14 remember to unmute yourself and turn on your camera
15 when your OPH number is called. For those
16 presenting in person, please step up to the podium
17 when your OPH number is called. As a reminder,
18 please speak only when recognized by the
19 chairperson. Thank you.

20 We'll begin with speaker number 1. Please
21 state your name and any organization that you
22 represent for the record. You will have three

1 minutes.

2 MR. D. DeNEUI: Good afternoon. My name is
3 Dan DeNeui. I am the Chief Executive Officer of
4 Evexias Health Solutions, which is a national
5 network of over 10,000 medical providers, all
6 representing many different disciplines of
7 medicine. To clarify to our panel, if Tal is not
8 on the list, patients and practitioners will lose
9 access, and that's what leads to patients seeking
10 out online fake pharmacies.

11 The real-world results of the FDA's recent
12 decision around Tal is that thousands of these
13 patients have turned to the black market to obtain
14 peptides. The black market includes fake online,
15 completely unregulated pharmacies, as well as other
16 pharmacies advertising that they are a research
17 facility, many of whom provide little or no
18 direction on how a patient should be using the
19 compound.

20 Strangely enough, the agency became
21 extremely active in policing thymosin alpha-1
22 compounding when a group of physicians reported

1 high rates of success in addressing COVID-19, and
2 this was far earlier than any of the studies that
3 were cited earlier in the presentation. The agency
4 even put out a special notification that expressly
5 prohibited compounders from making this extremely
6 beneficial peptide, demonstrating again and again
7 the willingness of the agency to overreach and
8 interfere with the medical providers' freedom to
9 practice medicine, which is truly a travesty; and
10 there seems to be a massive disconnect between
11 medical practitioners and the FDA.

12 To quote our soon to be HHS commissioner,
13 RFK, Jr., "The FDA's war on public health is about
14 to end. This includes its aggressive suppression
15 of psychedelics; peptides; stem cells; raw milk;
16 hyperbaric therapies; chelating compounds;
17 ivermectin; hydroxychloroquine; vitamins; clean
18 foods; sunshine; exercise; nutraceuticals; and
19 anything else that advances human health that can't
20 be patented by big pharma."

21 I represent thousands of medical providers
22 who are burned out on writing band-aided

1 prescription after band-aided prescription for
2 patients, only to see their health and well-being
3 continue to decline. Our practitioners want to
4 help their patients. Our citizens long to live
5 happier and healthier lives, to create a world that
6 is positive and is uplifting to the human spirit.

7 Ladies and gentlemen, we can do, and must
8 do, better because it is our moral and ethical duty
9 to do so. Anything less is unacceptable. Thank
10 you for your time.

11 DR. REBELLO: Thank you.

12 Speaker number 2, please state your name and
13 any organization that you're representing for the
14 record. You have three minutes.

15 MR. LaVALLE: Yes. Jim LaValle,
16 International Peptide Society and American Academy
17 of Anti-Aging Medicine. I'm not even going to go
18 off of these slides. I've got to address a few
19 things here. I taught 18 years at the College of
20 Pharmacy and Medicine, University of Cincinnati,
21 and currently adjunct professor at the George
22 Washington School Medicine and Health Sciences,

1 Department of Integrated Medicine.

2 At the International Peptide Society, we're
3 trying to do the right thing. We're trying to help
4 providers help people feel that they have a chance
5 for a healthier life when they are in chronic
6 illnesses and conditions. I'd have to say the
7 review of your information on thymosin alpha-1 was
8 incredible and thorough, and I can tell you that
9 the majority of doctors that are in integrative
10 medicine, or you want to call wellness space, are
11 not using it to treat hepatitis A and are not using
12 it to treat hepatitis B.

13 What they're looking for is how can we help
14 people not move down the path of metabolic
15 inflammation, or as you well know from the
16 literature since 2008, metaflammation leads to
17 inflamm-ageing. And the key process in that is the
18 disruption of the immune system, the loss of
19 homeostasis of the immune system, and the loss of
20 immunomodulation of the immune system, where Ta1
21 offers a potential benefit, and where at least
22 300,000 prescriptions have gone out. Physicians

1 have responsibly wanted to write prescriptions to
2 pharmacies that want to comply, that can be
3 inspected, that they can then see a result with
4 their patient, and that is what's being taken away.

5 I'm not in disagreement with you what the
6 assessment was for the more acute condition, but
7 where peptides provide value, what we have seen
8 with our thousand physicians in IPS, our
9 15,000 physicians at the American Academy of
10 Anti-Aging Medicine, is they're using these type of
11 compounds in order to be supportive as part of a
12 structured program that helps people to reduce that
13 risk of moving towards acute conditions.

14 I could give you a bunch of slides and
15 information. We know it's immunomodulatory. It
16 doesn't seem like there's immunogenicity, at least
17 it wasn't shown to be that way. Just as, I would
18 say, a quasi academic -- I can't claim full time as
19 an academic -- I've directed a fair amount of my
20 life towards it, as well as writing databases for
21 Lexicomp, and it was mentioned earlier. We have a
22 chasm between acute care, disease care, and how

1 we're going to keep people well, and that's where
2 these compounds, I think, sit squarely in that gray
3 area.

4 Hopefully, we're going to provide guidance
5 from the FDA on what are acceptable excipients, how
6 do we protect a compound, as well as be able to
7 collect real-world evidence through doctors that
8 are using EHRs so that we can provide that
9 information to all of you, so that we can make
10 great decisions forward that help people to improve
11 on their quality of life. Thank you.

12 DR. REBELLO: Thank you.

13 Speaker number 3, please be sure to state
14 your name and any organization you're representing
15 for the record. You have three minutes.

16 MR. WYNN: My name is Tom Wynn. I'm with
17 FarmaKeio Pharmacy. One thing I wanted to comment
18 on is somebody asked before about the C of A and
19 what are the requirements that are on there. There
20 is a USP guidance, 1503 I believe it is, that's for
21 synthetic peptides, and it does go through
22 specifically what tests should be done in that

1 case. The FDA brought this up before. It is there
2 and available, so there is something to move that
3 along.

4 The other thing I want to mention, too, is,
5 again, we brought up the immunogenicity several
6 times today, and every time, it's been said it can,
7 it has potential, it might, maybe. There's never
8 been one time we said it will cause immunogenicity,
9 so we're not really getting to the point of the
10 immunogenicity; we're just saying it could. It's a
11 possibility.

12 Now, I will say one of the products that's
13 currently available, commercially available,
14 semaglutide, has not been brought up at any point.
15 That's not what we're talking about today, but
16 there is a preservative they're using called phenol
17 in there. Phenol has been shown, for sure, to
18 cause protein aggregation, and it's actually in the
19 product that's commercially available.

20 Now as a compounder, we would move away from
21 that. We'd probably use a different one, maybe
22 benzyl alcohol or something else. We would

1 purposely stay away from phenol because -- and I
2 know it's in some vaccines, and it's in a lot of
3 different products, but in this case, how do we
4 know that that is not causing some immunogenicity,
5 some aggregation, if you will, from all the
6 different kind of side effects we're having lately
7 on semaglutide, whether it be the GI stuff or
8 they're even getting a different kind of lethargy
9 and different things of that nature that you're
10 hearing about if Google it online?

11 What I'm getting at is how serious are we
12 about immunogenicity if we're putting something
13 into products that are commercially available that
14 will do that? If you look here -- I'm going
15 through, again -- uncontrolled manufacturing
16 process, something they brought up at a pharmacy.

17 We do follow USP guidelines for
18 preparations. And as far as USP goes, they have
19 new revisions, 797 for sterile. The FDA was a part
20 of that. They did have somebody there during the
21 committee meetings, maybe not all of them, but I
22 know there was an advisor that was able to help put

1 in place some of the particular aspects of that
2 particular chapter. I'm not against the chapter.
3 It's fantastic, and we all want to abide by that
4 chapter. But to say that we have uncontrolled
5 processes isn't true because you were there at the
6 point when that chapter was brought, written, and
7 proposed for us to actually use. You weren't a
8 voting member, probably just an advisor, but still
9 you had some play in what was going on there.

10 Pharmacies do have controls in place as far
11 as temperature controls. We do --

12 DR. REBELLO: We're at time.

13 MR. WYNN: Thank you.

14 DR. REBELLO: Thank you.

15 Speaker number 4, please state your name and
16 any organization that you're representing for the
17 record.

18 DR. E. LEE: Can I have slide deck number 6,
19 please? Can you stop the time?

20 DR. REBELLO: Sure.

21 DR. E. LEE: My name is Dr. Edwin Lee.

22 Thank you for letting me be here. I'm a board

1 certified endocrinologist. Fifty years ago, in
2 1974, the FDA approved an IND for thymosin
3 fraction-5, which is a precursor of thymosin
4 alpha-1, to be used in children with primary immune
5 deficiency. Most of the kids had a dysfunctional
6 thymus gland. They were very sick.

7 The very first child was Heather,
8 5 years old, and she was critically ill, slowly
9 dying, multiple infections, and thymosin fraction-5
10 was life-saving. She was titrated off it and was
11 doing very well. Unfortunately, she died in her
12 early 20s from lymphoma, and she developed cancer
13 from a weak immune system. Dr. Amman, involved in
14 the thymosin fraction trial, told Dr. Allan
15 Goldstein, who discovered thymosin alpha-1, his
16 biggest regret was stopping the medicine.

17 So fast forward, in 2024, Dr. Elliott Dinetz
18 and myself published an article titled
19 Comprehensive Review of the Safety and Efficacy of
20 Thymosin Alpha 1 in Human Clinical Trials. We've
21 tabulated over 11,000 subjects worldwide that
22 received an average dose of thymosin alpha-1

1 1.6 milligram subQ, 3 times a week. It was
2 absolutely safe, and most of the studies showed
3 positive results, not 100 percent.

4 A colleague of mine, Dr. Luis Martinez, did
5 a study in his office, and tested that thymosin
6 alpha-1 can improve the immune function in 10 of
7 his patients; and you can see their age and their
8 conditions there. He used a biomarker CD4/CD8
9 ratio. A ratio under 1 indicates a weak immune
10 system. A ratio over 1 indicates a normal immune
11 system. Using thymosin alpha-1 1.6 milligrams subQ
12 3 times a week in 6 months showed 100 percent
13 improvement of the ratio.

14 I have many patients that have used thymosin
15 alpha-1 over one year. This is one of my patients.
16 She had stage 4 colon cancer in 2021 before
17 treatment of surgery, radiation, and chemo. She
18 started thymosin alpha-1 1.6 milligrams subQ,
19 3 times a week and, fortunately, she has had no
20 complications, or neuropathy, or even lost her
21 hair. There is a study published showing that
22 thymosin alpha-1 with chemotherapy reduces

1 neuropathy.

2 In conclusion, thymosin alpha-1 has been
3 approved in 37 countries. It's naturally made,
4 decreases as we age. It is safe, efficacious.
5 It's life-saving. Thymosin alpha-1 should be used
6 as an adjuvant therapy with chemo or cancer
7 therapy, and the American public deserves
8 thymosin alpha-1. Please save it. Thank you.

9 DR. REBELLO: Thank you.

10 Speaker number 5, please come to the podium.
11 State your name and any organization that you're
12 representing for the record. You have three
13 minutes.

14 DR. T. DeNEUI: My name is Dr. Terri DeNeui.
15 I'm an acute care nurse practitioner and research
16 clinician. I'm going to talk about some real-world
17 data that was collected recently from our internal
18 medicine clinics. This was looking at 38 patients.
19 I'm going to go through this quickly because you
20 can look at it, the demographics of the patients,
21 the age ranges, et cetera, and ethnic breakdown.

22 These are the primary diagnosis codes used,

1 and these are the primary diagnosis codes in
2 hundreds of my colleagues that have been through
3 advanced peptide training and use it primarily for
4 an autoimmune disorder in the early stages.
5 Somebody mentioned earlier a lot of these other
6 studies in late-stage disease. Early stage is what
7 we're finding where it has the most benefit.

8 The concentration that we're using, these
9 38 patients were 3 mgs per mL, initially BID, so
10 it's 300 micrograms twice a day, and then daily
11 thereafter. No side effects or adverse events were
12 noted. This is just a slide from the VA
13 distinguishing between a side effect and adverse
14 event. They are two completely different things,
15 and, again, none were reported.

16 I'm going to present a quick case study.
17 This is a very common presentation of patients that
18 we see. A 67-year-old healthy female, she came to
19 our office diagnosed with autoimmune hepatitis.
20 When she was seen by her PCP, she had LFTs way up
21 there, 1400, 970, and couldn't find anything wrong
22 with her, no cancer, everything was negative. All

1 her serology was negative. After 3 months on oral
2 prednisone, she showed some improvement. They
3 wanted to put her on methotrexate. She researched
4 it, she refused, she wanted other options.

5 She came to us still with elevated liver
6 enzymes. We started her on Tal BID for 12 weeks
7 with nutritional support, tapered off her oral
8 prednisone, and then over the course of 6, 12, and
9 24 weeks, she normalized on her LFTs. No side
10 effects and no adverse events for 3 years she was
11 on it. She was very afraid to go off and very
12 devastated a year ago when it was taken off. Last
13 I saw her a year ago, she was seeking off-market
14 options, unfortunately.

15 This is a common clinical picture of
16 patients that have seen massive benefit with
17 thymosin alpha-1 to their immune status and their
18 chronic condition, that had no other treatment or
19 other treatments had failed, and now this has been
20 taken away from them. Thank you.

21 DR. REBELLO: Thank you.

22 Speaker number 6, please state your name and

1 any organization that you're representing for the
2 record.

3 DR. ROSEBUSH: Sure. My name is Lee
4 Rosebush. I'm a PharmD, Doctor of Pharmacy, and
5 I'm also an attorney in this case, and also here to
6 represent some of the pharmacies associated with
7 this. But this may be even news to some of the FDA
8 folks, that 20 years ago, I actually spent time in
9 the Office of Orphan Products. I was there
10 underneath Marlene Haffner, Diane
11 Centeno-DeShields, and Jeff Rich, and, in fact,
12 they actually wrote my letters of recommendation to
13 get into law school.

14 I say that because I am here not just as a
15 pharmacist and an attorney. I'm also here as an
16 OOPD patient advocate, and we're going through that
17 in just a second and what that actually means.
18 More importantly, I have a daughter, that many of
19 you know from this perspective, who has a rare
20 disease, is life-threatening, and at Children's
21 Hospital on a weekly basis, so this really does
22 mean something.

1 To answer some of the questions that were
2 asked earlier, if you put this on the list, it will
3 be taken away. It is illegal to compound from that
4 perspective. Some of you asked about INDs. Many
5 pharmacy compounders are the ones who supply those
6 products. They will be taken away. And if you
7 encourage expanded access of the foreign-based
8 product, that means FDA is actually on record
9 encouraging the importation of a non-FDA approved
10 product from a non-FDA registered site over that
11 product that could be made domestically and
12 inspected today; and instead it's going to be made
13 in a facility that FDA would have no jurisdiction
14 over. That's important to remember.

15 There are four specific criteria we've gone
16 through multiple times. Is it well characterized?
17 Obviously. This one is approved in 36 countries,
18 including Italy. It is approved in Europe, from
19 that perspective. Has it been used historically in
20 compounding? If we can go to the next slide,
21 you'll see. We actually have over 300,000
22 prescriptions that have been dispensed by this. It

1 is a huge historical record associated with this.

2 Are there safety concerns associated in
3 effectiveness use? I'm going to read directly from
4 FDA's own slide that was just given to you. "On
5 the safety side, in most clinical studies, Tal has
6 not been associated with significant adverse events
7 attributable to Tal when administered in doses at a
8 range of 1 to 16 milligrams." That's from FDA.

9 On the efficacy perspective -- this is just
10 one indication, and we'll go through
11 others -- study authors suggest that Tal may
12 favorably affect immune functions, period. That's
13 all four criteria. I'm not sure why we're here
14 from that perspective. If this was FDA approved,
15 as was asked about Zadaxin, we wouldn't be here
16 from that side of it because we'd have the ability
17 to compound. If this had a USP monograph, which we
18 have approached USP to make a monograph, we
19 wouldn't be here from that perspective.
20 Unfortunately, USP will not allow us to make a
21 monograph.

22 On the orphan side and the reason why I

1 raise that, for those that don't know, in this
2 situation, there is a specific regulation,
3 21 CFR 316.20, and in it, it says -- this is what's
4 in court in order to get orphan drug
5 status -- quote, "a discussion of the scientific
6 rationale to establish a medically plausible basis
7 for the use of the drug for the rare disease or
8 condition, including all relevant data from
9 in vitro laboratory studies, preclinical efficacy
10 studies conducted in animal mode for the human
11 disease or condition, and clinical experience with
12 the drug in rare disease or condition that's
13 available."

14 FDA has granted orphan status using that
15 standard, safety and efficacy, four times in the
16 last 15 years to this substance. As somebody who
17 has a kid, a child who's potentially dying from a
18 rare disease, if you say no to this and get it put
19 on the list, it will be removed regardless of what
20 is said.

21 Practically from that perspective --

22 DR. REBELLO: We're at time.

1 DR. ROSEBUSH: -- if this says no, ask
2 yourself what wholesaler would ever carry this
3 product. They won't. So in this case, you'd be
4 asking for an expanded-use product from a non-FDA
5 approved source, from a non-FDA inspected facility,
6 and you're taking away something that could be
7 domestically made here that saves lives for kids
8 that have rare diseases.

9 And you know why it's not approved? Because
10 there's no money to it. Don't let this get caught
11 up in politics with COVID-19 and peptides that you
12 just heard about from Lilly. That's why the other
13 ones were there.

14 DR. REBELLO: We're at time.

15 DR. ROSEBUSH: Don't let this one get taken
16 away because of politics, please.

17 **Clarifying Questions from the Committee (con't)**

18 DR. REBELLO: Thank you.

19 The open public hearing portion of this
20 meeting is now concluded, and we will no longer
21 take comments from the audience. We will now take
22 additional questions and comments from those here

1 and want to open that up at this point.

2 Are there any clarifying questions?

3 MR. WESDYK: Yes. Russ Wesdyk, OPQ, FDA.
4 Dr. Rosebush and many of the speakers raise some
5 really good points. One of the challenges for us
6 in the FDA, and especially from the standpoint of
7 characterizing a bulk drug substance, is, going
8 back to my presentation first thing this morning,
9 there are dramatic differences in quality from bulk
10 drug supplier to bulk drug supplier. You'll see a
11 lot of clinical trials without significant safety
12 signals, potentially, done with material that was
13 manufactured by, in essence, branded companies
14 under tight controls.

15 For any approved product, there is an
16 approved synthetic pathway. There is an approved
17 set of methods and specifications for the drug
18 substance and an approved set of methods and
19 specifications for the drug product. That's not
20 the case here. Anybody can make it, to any
21 standard, and it can be imported and compounded.
22 And that's part of what we're asked to do in OPQ,

1 is take a look at what's out there, look at the
2 C of As, and see is it similar to what's been
3 tested and shown to be safe and effective, if it
4 was, or not? And that's our challenge. We don't
5 have information to make that assessment.

6 I talked this morning about three potential
7 pathways, synthetic pathways for large peptides.
8 You can go through a biochemistry standpoint, you
9 can go from a chemical synthesis, or you can
10 isolate it from natural sources. This can be made
11 all three ways. It will have dramatically
12 different impurity profiles, and out of that, you
13 have dramatic differences in terms of
14 immunogenicity potential. To that point, it's not
15 to say it will happen, but certainly the potential
16 is there. And that's why we come to the conclusion
17 from an OPQ perspective that it's not well
18 characterized. Thank you.

19 DR. REBELLO: Thank you.

20 Any other additional comments or questions?

21 Yes? Please state your name.

22 DR. BOGNER: Got it.

1 DR. REBELLO: An affiliation.

2 DR. BOGNER: Oh, and affiliation. Robin
3 Bogner, University of Connecticut. I have similar
4 concerns about some of the certificates of analyses
5 that I've seen. They may be called valid, but I
6 don't trust a lot of what's there. With this
7 particular compound, drug substance, could a
8 pharmacist in the U.S. source it as the
9 manufactured product from overseas, and compound
10 with the manufactured product that has presumably
11 gone through not FDA but some other agency's
12 regulatory approval and oversight? That's my
13 question because if that's the case, I might look
14 at it differently.

15 MR. WESDYK: Russ Wesdyk, FDA. I think both
16 Gail and I will likely comment. Could they? Yes,
17 they could. But the important question, to me, is,
18 are they constrained to do that? And the answer is
19 no. They can buy it from anybody, anywhere.

20 I want to be clear. There's an implied
21 criticism here. It's not so much aimed at the
22 compound industry. It's a bulk drug substance

1 industry that can make and do whatever they want.
2 Some of the C of As, it's kind of shocking. If you
3 really dug into the materials you have on multiple
4 substances here, this isn't a compound. This is a
5 bulk drug substance manufacturer, and there's a
6 C of A that has a name on it. And then you look at
7 the tests, and you're like, "Wait a minute. They
8 didn't make that from these tests." You know they
9 made something else, in fact. Then you look at the
10 molecular formula, molecular weight, and chemical
11 name, "Wait a minute. It's a third thing
12 entirely."

13 It's hard for us because I look at it, and
14 I'm like, "Do they even know what they're making?
15 Do they even know what they're testing?" That's
16 not a compounder's problem; that's a bulk drug
17 substance manufacturer's problem. But that's what
18 we're seeing when we look at some of these C of As.
19 So it becomes challenging to say, "Hey, this is
20 well characterized. Could it be? Yeah. Is it?"

21 MS. BORMEL: This is Gail Bormel. I think
22 the challenge is also that there could be a number

1 of different manufacturers of an API, and if they
2 register with FDA and they list their product, they
3 can ship it into the U.S. for appropriate uses like
4 compounding research, et cetera.

5 I think what Russ is saying is it doesn't
6 mean that the supplier that's registered and has
7 listed their product, that every BDS is necessarily
8 identical in its quality. So again, it's a little
9 different scenario than when we have a substance
10 that's in the USP and has a public monograph, and
11 that's what is required to be adhered to. I think
12 that's the difficulty. There could be multiple
13 manufacturers of the same BDS, but it may not
14 really be the same quality.

15 DR. REBELLO: Yes? Go ahead, Dr. Bogner.

16 DR. BOGNER: If I can respond --

17 DR. REBELLO: Yes.

18 DR. BOGNER: -- I think my point
19 is -- because I agree with you -- for many of the
20 other peptides, I wouldn't know where to go looking
21 for a good bulk drug substance. Yes, you can get
22 bulk drug substance from anywhere, but in this

1 case, I know there's a product out there that has
2 gone through somebody's regulatory review that I
3 would feel more comfortable with. So while a
4 compounder could go anywhere, maybe a more careful
5 compounder would go to a very specific place that I
6 know exists, a marketed product elsewhere, to be
7 sure; whereas with other peptides, it's not clear
8 where I would go. That's my point, I think, the
9 differentiation here.

10 DR. REBELLO: So we have Dr. Gupta first,
11 online, and then Donnette Staas.

12 Dr. Gupta?

13 DR. GUPTA: Yes. Hi. Thank you. I had a
14 question and perhaps a comment on this. My concern
15 is I see a lot of patients that often come in on a
16 lot of different supplements, some of them
17 including these, and much of the concern I have is
18 where does the consumer go? We're talking about
19 the compounder, we're talking about the individuals
20 that ultimately have side effects that may perhaps
21 be mild to severe, and no one has addressed the
22 consumer and the patient.

1 When they're having a side effect, and I'm
2 the physician managing it, or any physician in the
3 United States, what are we supposed to do? That is
4 ultimately the question that seems to be going
5 unanswered here. And it's frustrating because many
6 of these individuals have no recourse, there is no
7 solution, and there's no response from the
8 companies that are developing these products, and
9 no one really has an answer. So I would love to
10 hear an answer today from somebody in the room on
11 what we're supposed to do.

12 MS. BORMEL: Hi. This is Gail Bormel. I
13 think the question -- and correct me if I'm
14 wrong -- is about where to report certain adverse
15 events. Is that the question?

16 DR. REBELLO: My understanding as a
17 physician, how do you help the consumer? Is that
18 correctly addressing your question, Dr. Gupta?

19 DR. GUPTA: Yes. I think that's basically
20 it. There are so many side effects that we're
21 seeing to these products, and many times patients
22 will come in with bottles of different -- because

1 they don't want to take traditional medications;
2 they want to try these substances.

3 So I think the question I have, again, is
4 where do consumers or patients go for addressing
5 these symptoms or these conditions? Usually, the
6 compounder doesn't because they're not the
7 prescriber. The prescriber doesn't know, which
8 would often be someone that doesn't know how to
9 handle it, and the manufacturer may be foreign. So
10 what what are we supposed to do in that situation?
11 And the evidence is lacking from what I'm
12 understanding, so I'm trying to comprehend here,
13 today, what we're supposed to do in those
14 situations. If anyone can answer that, I would
15 love to hear the answer.

16 MS. BORMEL: Again, this is Gail Bormel.
17 Let's just go through the process that we know
18 about, which is for drugs, in general, either
19 approved, or for compounded drugs that are not made
20 by outsourcing facilities, if there are complaints
21 about them or certain concerns, usually what
22 happens is there's a recourse to either -- it's

1 mandatory in our law, but there's a recourse to
2 submit complaints to the State Board of Pharmacy.
3 You can voluntarily report information to FDA
4 through its MedWatch program. So it's a voluntary
5 reporting thing for these drugs that are not FDA
6 approved, either compounded drugs or other drugs
7 they may be obtaining from the the internet.

8 It is an issue that when people purchase
9 drugs -- and I'm not really sure if you're alluding
10 to people buying things from the internet, or
11 they're buying things from just other sources, or
12 they may be mail ordering things. We still do get
13 complaints through different systems in FDA, even
14 if they're not FDA-approved drugs.

15 That's just a mechanism of reporting, but
16 I'm not sure if you're trying to figure out a way
17 to advise patients about practices or you're trying
18 to get information out there. The reason we have
19 IND and expanded access programs is it's a way of
20 formally getting drugs to patients with informed
21 consent and looking at the data in a controlled
22 way; and it seems what you're talking about are

1 patients that are purchasing things on the
2 internet, not even being sure that they're
3 legitimate.

4 DR. REBELLO: I believe Dr. Donnette Staas
5 had the next question.

6 DR. STAAS: Hi. Dr. Donnette Staas from
7 Jazz Pharmaceuticals. I actually just wanted to
8 follow up on Dr. Bogner's question about sourcing
9 outside of the U.S., really just directing this to
10 Dr. Wesdyk and Dr. Bormel.

11 I guess the consideration is that if you
12 were to go and get Zadaxin from outside the U.S.,
13 it would already be formulated as a drug product.
14 It wouldn't be the drug substance, so if you were
15 to bring that in, I don't know -- and that's a
16 question I have -- and I'm not sure how you would
17 then compound that. You would have to extract the
18 drug substance from that, and then reformulate it
19 in the way that you would like.

20 So perhaps maybe the thinking, then, you'd
21 be more targeting the drug substance supplier that
22 that company used to make the product. You would

1 have to go to that source if you were looking for
2 something that was of high quality, if you will;
3 otherwise, I don't think it really helps you to go
4 after the actual marketed product, but I'm happy to
5 hear what the agency has to say about that. Thank
6 you.

7 MR. WESDYK: Russ Wesdyk, FDA. I absolutely
8 agree with everything you just said. With respect
9 to my colleague, yes, I don't think a compounder is
10 likely to import a commercial drug product to
11 compound it. It would be much easier to go buy the
12 bulk drug substance from somebody. And the whole
13 reason you're compounding it is, in theory, you
14 want to put something in it. You're concerned with
15 an allergen in it; otherwise you would just frankly
16 dose that product.

17 DR. REBELLO: Yes? Dr. Bogner?

18 DR. BOGNER? Actually, a lot of compounding
19 is done from a marketed product, and then
20 compounded to a different dosage form. I work
21 mostly in the large molecule biologics, and bulk
22 drug substance is, partially at least, formulated

1 so that it can be stored. So I think that you can
2 compound from a drug product.

3 MR. WESDYK: Russ Wesdyk. I absolutely
4 agree with you, certainly, but in this case, we're
5 talking injectable to injectable. The product on
6 the market is an injectable. What they're
7 proposing to make is an injectable. Why would you
8 re-compound it? It just seems like it would be
9 going through a lot of extra steps. Not saying you
10 couldn't do it, just logically, as a formulator,
11 where you're coming from, why would I do that? But
12 it could be done, absolutely.

13 MS. BORMEL: This is Gail Bormel. I read it
14 the way that Dr. Staas was reading it; that you
15 would want to import the API from the reliable API
16 manufacturer that was providing the drug, that the
17 product was approved in a foreign country. Once
18 you start importing drugs that are approved in
19 another country but not approved in the United
20 States, there are other laws that take effect. So
21 you can't necessarily bring in drugs that are
22 approved in another country and bring it over to

1 use in this country, necessarily. So I just wanted
2 to caution if you think that we could just allow
3 that importation of the finished product that's
4 approved in another country.

5 DR. REBELLO: Any other comments?
6 Questions?

7 (No response.)

8 DR. REBELLO: Online?

9 (No response.)

10 **Committee Discussion and Vote**

11 DR. REBELLO: The committee now will turn
12 its attention to address the task at hand, the
13 careful consideration of the data before the
14 committee, as well as the public comments.

15 We will now proceed with the questions to
16 the committee and panel discussions. I'd like to
17 remind the public observers that while this meeting
18 is open for public observation, public attendees
19 may not participate, except at the specific request
20 of the panel. After I read each question, we will
21 pause for any questions or comments concerning its
22 wording.

1 We'll proceed with our third question, which
2 is a voting question with subsections. We will be
3 using an electronic voting system for this meeting.
4 Once we begin the vote, the buttons will start
5 flashing and will continue to flash even after
6 you've entered your vote. Please press the button
7 firmly that corresponds to your vote. If you're
8 unsure of your vote or wish to change your vote,
9 you may press the corresponding button until the
10 vote is closed.

11 After everyone's completed their vote, the
12 vote will be locked in. The vote will then be
13 displayed on the screen. The DFO will read the
14 vote from the screen into the record. Next, we'll
15 go around the room, and each individual who voted
16 will state their name and vote into the record.
17 You can also state the reason why you voted as you
18 did, if you want to. We'll continue in the same
19 manner until all questions have been answered or
20 discussed.

21 For Question 3, Section 503A Bulk Drug
22 Substances List, thymosin alpha-1-related bulk drug

1 substances. FDA's evaluation addressed two
2 thymosin alpha-1-related bulk drug substances,
3 which include one active moiety, thymosin alpha-1
4 free base, and two different BDSs. FDA proposes
5 using a single voting question to address them as a
6 group.

7 Do committee members agree to vote on
8 thymosin alpha-1-related bulk drug substances
9 discussed today, thymosin alpha-1 free base and
10 thymosin alpha-1 acetate, as a group; yes or no?
11 If any member of the committee votes no, FDA will
12 take separate votes on each of these substances.
13 If voting yes, committee members will vote on the
14 substance as a group and will proceed to answer one
15 additional voting question. If voting no,
16 committee members will vote on each of the
17 substances separately and will proceed to answer
18 two voting questions.

19 Are there any issues or questions from the
20 panel about the wording of the voting question?

21 (No response.)

22 DR. REBELLO: If there are no further

1 questions or comments concerning the wording of the
2 question, we will now begin the voting process.
3 Please press the button on your microphone that
4 corresponds to your vote. You'll have
5 approximately 20 seconds to vote. Please press the
6 button firmly. After you've made your selection,
7 the light may continue to flash. If you're unsure
8 of your vote or you wish to change your vote,
9 please press the corresponding button again before
10 the vote is closed.

11 (Voting.)

12 DR. STEVENSON: Takyiah Stevenson, DFO. For
13 the record, there are 20 yeses, 1 no, and
14 0 abstentions. I will hand it back to the chair.

15 DR. REBELLO: Since one or more panel member
16 voted no, we will proceed with Questions 3B and 3C.
17 Now that the vote is complete, we'll go around the
18 table and have everyone who voted state their name,
19 vote, and if you want to, you can state the reason
20 why you voted as you did into the record.

21 DR. DURHAM: Todd Durham. I voted yes.

22 DR. VAIDA: Allen Vaida. I voted yes.

1 DR. BOGNER: Robin Bogner. I voted no.

2 DR. SERUMAGA: Brian Serumaga. I voted yes.

3 DR. REBELLO: Elizabeth Rebello. I voted
4 yes.

5 DR. GURA: Kathy Gura. I voted yes.

6 DR. McELHINEY: Linda McElhiney. I voted
7 yes.

8 DR. FENSKY: Tim Fensky. I voted yes.

9 DR. PAU: Alice Pau. I voted yes.

10 DR. GHANY: Marc Ghany. I voted yes.

11 DR. CZAJA: Mark Czaja. I voted yes.

12 DR. MONGE: Cecilia Monge. Yes.

13 DR. SIBERRY: George Siberry, yes because
14 nothing in the presentation or discussion
15 considered either of these differently from the
16 other. Thanks.

17 DR. CLARK: Nina Clark. I voted yes.

18 DR. KRIS: Mark Kris. I voted yes.

19 DR. REBELLO: Dr. Gulur?

20 DR. GULUR: Padma Gulur. I voted yes.

21 DR. REBELLO: Dr. Corbett?

22 DR. CORBETT: Dr. Corbett. I voted yes.

1 DR. REBELLO: Dr. Gans?

2 DR. GANS: I voted yes.

3 DR. REBELLO: Dr. Gupta?

4 DR. GUPTA: I voted yes.

5 DR. REBELLO: Dr. Brian Lee?

6 DR. B. LEE: Brian Lee. I voted yes.

7 DR. REBELLO: Dr. Janet Lee?

8 DR. J. LEE: Janet Lee. I voted yes.

9 DR. REBELLO: Great. Thank you.

10 Since one or more panel members voted no, we
11 will proceed with Questions 3B and 3C.

12 Question 3B, Section 503A Bulk Drug
13 Substances List, thymosin alpha-1-related bulk drug
14 substances. FDA is proposing that thymosin alpha-1
15 free base not be included on the 503A Bulks List.
16 The question asks, should thymosin alpha-1 free
17 base be placed on the list? If voting yes, you're
18 recommending FDA should place thymosin alpha-1 free
19 base on the 503A Bulks List. If voting no, you're
20 recommending the FDA should not place thymosin
21 alpha-1 free base on the 503A Bulks List.

22 If a substance is not on the list when the

1 final rule is promulgated, compounders may not use
2 the drug for compounding under Section 503A unless
3 it becomes a subject of an applicable USP, or
4 National Formulary monograph, or a component of an
5 FDA-approved drug.

6 Are there any issues or questions from the
7 panel about the wording of the voting question?

8 Actually, Dr. Corbett, has a question.

9 Dr. Corbett?

10 DR. CORBETT: I think it's just a
11 clarification from the previous conversations. So
12 just confirming if this is a yes, it should be
13 placed on the list, or no. And then let's
14 hypothetically say it's a yes, we are not voting
15 towards the specificity in the code as far as
16 indication, dosing, any of that; is that true?

17 MS. BORMEL: This is Gail Bormel. That's
18 true. If you vote yes, you would be recommending
19 placing thymosin alpha-1 free base on the 503A
20 Bulks List, and there are no parameters of route of
21 administration or dosage.

22 DR. CORBETT: Thank you.

1 DR. REBELLO: Are there any other questions
2 from the panel about the wording of the voting
3 question?

4 (No response.)

5 DR. REBELLO: If there are no further
6 questions or comments concerning the wording of the
7 question, we will now begin the voting process.
8 Please press the button on your microphone that
9 corresponds to your vote. As a reminder, you'll
10 have approximately 20 seconds to vote. Press the
11 button firmly. After you make the selection, the
12 light may continue to flash.

13 (Voting.)

14 DR. STEVENSON: Takyiah Stevenson, DFO. For
15 the record, there are 4 yeses, 17 noes, and
16 0 abstentions. Thank you.

17 DR. REBELLO: Now that the vote is complete,
18 we'll go around the table, and have everyone who
19 voted state their name, vote, and if you want to,
20 you can state the reason why you voted as you did
21 into the record.

22 DR. DURHAM: Todd Durham. I voted no.

1 DR. VAIDA: Allen Vaida. I voted no.

2 DR. BOGNER: Robin Bogner. I voted yes. I
3 struggle all the time with access versus quality,
4 and in this case I fell toward access.

5 DR. SERUMAGA: Brian Serumaga. I voted no.

6 DR. REBELLO: Elizabeth Rebello. I voted
7 no.

8 DR. GURA: Kathy Gura. I voted no.

9 DR. McELHINEY: Linda McElhiney. I voted
10 yes.

11 DR. FENSKY: Tim Fensky. I voted yes.

12 DR. PAU: Alice Pau. I voted no.

13 DR. GHANY: Marc Ghany. I voted no.

14 DR. CZAJA: Mark Czaja. I voted no.

15 DR. MONGE: Cecilia Monge. I voted no
16 because of lack of evidence of efficacy.

17 DR. SIBERRY: George Siberry. I voted no
18 for the same reason, lack of evidence of efficacy.

19 DR. CLARK: Nina Clark. I voted no also due
20 to the low-quality efficacy data that I thought was
21 well summarized by the FDA.

22 DR. KRIS: Mark Kris. I voted no because

1 curative therapies are now available for some of
2 these conditions, and I would definitely want those
3 curative therapies to be offered first.

4 DR. REBELLO: Dr. Gulur?

5 DR. GULUR: Padma Gulur. I voted no for
6 reasons already stated.

7 DR. REBELLO: Dr. Corbett?

8 DR. CORBETT: I voted yes, and I, too, very
9 much struggled with this decision. The reason for
10 voting yes, it was an excellent summary on the
11 efficacy of the conditions that were presented. I
12 would not necessarily support them in any of the
13 clinical trials and data that was submitted, but
14 being an integrated health doctor in a supplement
15 world, I do kind of struggle with availability, I
16 think similar to what Dr. Bogner listed around
17 availability and purity, and just decided to trust
18 my colleagues that are prescribers and compounding
19 pharmacists to do the right thing. I realize I
20 would probably be in the minority, but I appreciate
21 this was a really great summary and presentation by
22 the FDA. Thank you.

1 DR. REBELLO: Dr. Gans?

2 DR. GANS: Hi. I voted no, but that is not
3 to say that I didn't really appreciate the
4 presentations for those who are in support of this.
5 I didn't see much of a way in which this vote for
6 compounding would actually change since it's
7 already in use, and I think that if there are all
8 those prescriptions already being used, that should
9 be studied in those cases. And given that that's
10 not out in the scientific world, we really need to
11 know more about this.

12 Case reports are really important, but it
13 looked like when there were larger studies, the
14 efficacy of these -- albeit in the ones that were
15 studied. And I agree that chronic disease and
16 primary immunodeficiency still need to potentially
17 have access to this and other forms, but I want to
18 make sure that people understand its value before
19 just prescribing it, which seems is being done at
20 the moment. So I really support the scientific
21 investigation into important peptides such as Tal.

22 DR. REBELLO: For those of you that I'm

1 calling out, please state your full name, and then
2 your vote.

3 Dr. Gupta?

4 DR. GUPTA: Thank you. I voted no. I
5 struggled with this answer. I really deeply am for
6 patients and for access, but I do really believe
7 that the rigor is necessary for patients. They
8 deserve the due diligence for these products to
9 ensure safety and to ensure efficacy of these
10 products at various doses and formulations, given
11 what we heard today. Thank you very much.

12 DR. REBELLO: Dr. Brian Lee.

13 DR. B. LEE: Brian Lee. I voted no. I
14 think that the public deserves to have access to
15 drugs that have shown efficacy and that have been
16 shown to be safe, and I think that the data
17 demonstrated today has not met that bar.

18 DR. REBELLO: Dr. Janet Lee?

19 DR. J. LEE: Janet Lee. I voted no.

20 DR. REBELLO: So to summarize, the majority
21 of the votes were yes based on a lack of evidence,
22 and those that voted no cited two issues: one,

1 access, and two, availability. Sorry. The
2 majority was no. Correct.

3 Next, we'll proceed with Question 3C.
4 Question 3C, Section 503A Bulk Drug Substances
5 List, thymosin alpha-1-related bulk drug
6 substances. FDA is proposing that thymosin 1 alpha
7 acetate not be included on the 503A bulks list.
8 The question today is should thymosin alpha-1
9 acetate be placed on the list? If voting yes,
10 you're recommending FDA should place thymosin alpha
11 acetate on the list. If voting no, you're
12 recommending that FDA should not place thymosin
13 alpha-1 acetate on the 503A bulks list.

14 If the substance is not on the list when the
15 final rule is promulgated, compounders may not use
16 a drug for compounding under Section 503A unless it
17 becomes a subject of an applicable USP, or National
18 Formulary monograph, or component of an
19 FDA-approved drug.

20 Are there any issues or questions from the
21 panel about the wording of the voting question?

22 (No response.)

1 DR. REBELLO: If there are no further
2 questions or comments concerning the wording of the
3 question, we will now begin the voting process.
4 Please press the button on your microphone that
5 corresponds to your vote. As a reminder, if you're
6 unsure of your vote or wish to change your vote,
7 please press the corresponding button again before
8 the vote is closed.

9 (Voting.)

10 DR. STEVENSON: Takyiah Stevenson, DFO. For
11 the record, there are 4 yeses, 17 noes, and
12 0 abstentions. Thank you.

13 DR. REBELLO: Now that the vote is complete,
14 we'll go around the table and have everyone who
15 voted state their name, vote, and if you want to,
16 the reason why you voted as you did into the
17 record.

18 DR. DURHAM: Todd Durham. I voted no.

19 DR. VAIDA: Allen Vaida. I voted no.

20 DR. BOGNER: Robin Bogner. I voted no.

21 DR. SERUMAGA: Brian Serumaga. I voted no.

22 DR. REBELLO: Elizabeth Rebello. I voted

1 no.

2 DR. GURA: Kathleen Gura. I voted yes.

3 DR. McELHINEY: Linda McElhiney. I voted
4 yes because I think there are some rare indications
5 where patients need access to this drug.

6 DR. FENSKY: Tim Fensky. I voted yes.

7 DR. PAU: Alice Pau. I voted no.

8 DR. GHANY: Mark Ghany. I voted no.

9 DR. CZAJA: Mark Czaja. I voted no.

10 DR. MONGE: Cecilia Monge. I voted no.

11 DR. SIBERRY: George Siberry. I voted no.

12 DR. CLARK: Nina Clark. I voted no.

13 DR. KRIS: Mark Kris. I voted no.

14 DR. REBELLO: And online, Dr. Gulur?

15 DR. GULUR: Thank you. Padma Gulur. I
16 voted no, and I would like to take a minute to just
17 go over the decision. As many have stated, it was
18 not an easy decision because access is something we
19 would all like to ensure patients here in the
20 United States have to drugs and compounds that
21 could help them, especially with rare diseases. I
22 want to thank the presenters who advocated strongly

1 for this and brought broad data; however, the data
2 was not adequate to ensure the safety and efficacy
3 of this drug when compared to the FDA presentation.
4 That said, we do applaud those efforts.

5 The argument that we should be manufacturing
6 this here and to provide access to our patients is
7 valid, but on the flip side, that is exactly what
8 consumers here -- and I think that's what Dr. Gupta
9 was alluding to. Consumers of the United States
10 who consume products that are available here assume
11 that they are safe. And while the compounders, I'm
12 sure, are making every effort on their part to do
13 so, as the FDA pointed out, this is really a bulk
14 drug substance sourcing, and since most of the
15 sourcing is not in the United States, that is
16 concerning. So for those reasons, I voted no.

17 I also wanted to take this opportunity to
18 thank Dr. Rebello for stepping in for me at the
19 last minute, so thank you very much.

20 DR. REBELLO: Thank you.

21 Dr. Corbett?

22 DR. CORBETT: Hi. Amanda Corbett. I voted

1 yes for similar reasons as I've mentioned before.

2 Thank you.

3 DR. REBELLO: Dr. Gans?

4 DR. GANS: Dr. Hayley Gans. I voted no
5 again for many of the similar reasons. In
6 addition, because putting on in bulk doesn't in any
7 way help with decisions on how to use it, so we
8 need all of that to be part of an application to
9 the FDA.

10 DR. REBELLO: Dr. Gupta?

11 DR. GUPTA: Thank you. Dr. Gupta. I voted
12 no for the same reasons already stated.

13 DR. REBELLO: Dr. Brian Lee?

14 DR. B. LEE: Brian Lee. I voted no.

15 DR. REBELLO: Dr. Janet Lee?

16 DR. J. LEE: Janet Lee. I voted no for the
17 same reasons eloquently stated by others.

18 DR. REBELLO: Great. Thank you.

19 I just want to take the time to thank
20 Dr. Takyiah Stevenson, who's gone above and beyond
21 in making this meeting happen today. I want to
22 thank all of you for being present for the

1 presenters, both on the FDA side, on both sides. I
2 want to wish you safe travels.

3 Before we adjourn, are there any last
4 comments from the FDA?

5 MS. BORMEL: This is Gail Bormel. I wanted
6 to personally thank everybody for coming today and
7 for their expertise, and taking the time out to
8 participate in this very important advisory
9 committee meeting.

10 **Adjournment**

11 DR. REBELLO: Well, with that, we will now
12 adjourn the meeting. Thank you.

13 (Whereupon, at 3:35 p.m., the topic 3
14 session was adjourned.)

15
16
17
18
19
20
21
22