

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE ANESTHETIC AND ANALGESIC
DRUG PRODUCTS ADVISORY COMMITTEE (AADPAC)

Friday, January 10, 2025

9:00 a.m. to 4:19 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

LaToya Bonner, PharmD, MBA

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY

COMMITTEE MEMBERS (Voting)

Brian T. Bateman, MD, MSc

(Chairperson)
Professor and Chair
Department of Anesthesiology, Perioperative, and
Pain Medicine
By courtesy, Professor of Epidemiology and
Population Health
Stanford University School of Medicine
Stanford, California

1 **Mark C. Bicket, MD, PhD, FASA**

2 Associate Professor, Department of Anesthesiology
3 Co-Director, Opioid Prescribing Engagement Network
4 University of Michigan
5 Ann Arbor, Michigan

6
7 **Maryam Jowza, MD**

8 Associate Professor of Anesthesiology and Pain
9 Management
10 University of North Carolina-Chapel Hill
11 Chapel Hill, North Carolina

12
13 **Maura S. McAuliffe, CRNA, MSN, MSNA, PhD, FAAN**

14 Professor Emeritus, College of Nursing
15 Founding Director, Nurse Anesthesia Program
16 East Carolina University
17 Greenville, North Carolina

18

19

20

21

22

1 **Mary Ellen McCann, MD, MPH**

2 Associate Professor, Anesthesiology,

3 Care and Pain Medicine

4 Harvard Medical School

5 Boston Children's Hospital

6 Boston, Massachusetts

7

8 **Michael Sprintz, DO, DFASAM**

9 Medical Director, Intensive Outpatient Program

10 Ethos Behavioral Health Group

11 Houston, Texas

12 Founder, Sprintz Center for Pain and Recovery

13 The Woodlands, Texas

14 ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY

15 COMMITTEE MEMBER (Non-Voting)

16

17 **Jeffrey B. Reich, MD**

18 *(Industry Representative)*

19 CEO and Co-Founder

20 Sparian Biosciences

21 New York, New York

22

1 **TEMPORARY MEMBERS (Voting)**

2 **D.J. Kennedy, MD**

3 *(via video conferencing platform)*

4 Professor, Physical Medicine & Rehabilitation

5 Chair, Department of Physical Medicine &

6 Rehabilitation

7 Vanderbilt University Medical Center

8 Nashville, Tennessee

9

10 **John S. Kirkpatrick, MD, FAAOS, FAOA**

11 Orthopaedic Surgical Services

12 Orlando VA Medical Center

13 Professor of Orthopedic Surgery

14 University of Central Florida College of Medicine

15 Orlando, Florida

16

17

18

19

20

21

22

1 **Ariana M. Nelson, MD**

2 Associate Professor, Department of Anesthesiology
3 and Perioperative Medicine
4 University of California Irvine
5 Clinical Science Team, NASA Exploration
6 Medical Capability Element
7 Irvine, California

8

9 **Joseph P. O'Brien, MBA**

10 *(Patient Representative)*
11 President, CEO & Patient
12 National Scoliosis Foundation
13 Stoughton, Massachusetts

14

15 **Steven Schiff, MD, PhD, FACS**

16 Harvey and Kate Cushing Professor of Neurosurgery
17 Vice Chair for Global Health, Department of
18 Neurosurgery
19 Professor of Epidemiology
20 Department of Epidemiology of Microbial Diseases
21 Yale University
22 New Haven, Connecticut

1 **Milan P. Stojanovic, MD**

2 Assistant Professor of Anesthesiology

3 Harvard Medical School

4 Active Staff, Anesthesiology, Critical Care and

5 Pain Medicine Service

6 VA Boston Healthcare

7 Boston, Massachusetts

8 Active Staff, Specialty and Acute Care Service

9 VA Bedford Healthcare

10 Bedford, Massachusetts

11

12 **FDA PARTICIPANTS (Non-Voting)**

13 **Mary Thanh Hai, MD**

14 Deputy Director for Clinical Science

15 Office of New Drugs (OND),

16 Center for Drug Evaluation and Research (CDER), FDA

17

18

19

20

21

22

1 **Tina Doshi, MD, MHS**

2 Associate Director for Therapeutic Review,
3 Chronic Pain
4 Division of Division of Anesthesiology,
5 Addiction Medicine, and Pain Medicine (DAAP)
6 Office of Neuroscience (ON), OND, CDER, FDA

7

8 **Shaan Sudhakaran, MD**

9 Medical Reviewer
10 DAAP, ON, OND, CDER, FDA

11

12 **Sue-Jane Wang, PhD**

13 Biometrics Deputy Division Director
14 Division of Biometrics I (DBI)
15 Office of Biostatistics (OB)
16 Office of Translational Sciences (OTS), CDER, FDA

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Brian Bateman, MD, MSc	11
5	Conflict of Interest Statement	
6	LaToya Bonner, PharmD, MBA	16
7	FDA Opening Remarks	
8	Tina Doshi, MD, MHS	21
9	Applicant Presentations	
10	Seikagaku Corporation	
11	Introduction	
12	Jun Watanabe, RPh, EMBA, MSc	25
13	Unmet Need	
14	Ajay D. Wasan, MD, MSc	32
15	Condoliase Efficacy	
16	Joe Stauffer, DO, MBA, FAPCR	38
17	Condoliase Safety	
18	Diane Martire, MD, MPH	50
19	Disc-Related Imaging Findings	
20	Thomas Fuerst, PhD	57
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clinical Perspective	
Kee D. Kim, MD	60
Condoliase Benefit-Risk Conclusion	
Joe Stauffer, DO, MBA, FAPCR	66
Clarifying Questions for Applicant	67
FDA Presentation	
FDA Assessment of Condoliase	
Shaan Sudhakaran, MD	120
Clarifying Questions for FDA	152
Open Public Hearing	170
Clarifying Questions (continued)	210
Charge to the Committee	
Tina Doshi, MD, MHS	234
Questions to the Committee and Discussion	236
Adjournment	326

P R O C E E D I N G S

(9:00 a.m.)

Call to Order

Introduction of Committee

DR. BATEMAN: Good morning and welcome. I'd first like to remind everyone to please mute your line when you're not speaking. All members of the public are reminded to silence their phones and other devices, and to otherwise refrain from disrupting the meeting. Loud talking or applause may make it difficult for meeting participants and observers to hear the proceedings. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her email is currently displayed.

My name is Brian Bateman, and I will be chairing this meeting. I will now call the January 10, 2025 Anesthetic and Analgesic Drug Products Advisory Committee meeting to order. We'll start by going around the table and introduce ourselves by stating our names and affiliation. We will start with the FDA to my left and go around the table.

1 DR. THANH HAI: Good morning. I'm Mary
2 Thanh Hai. I'm the Deputy Director for Office of
3 New Drugs.

4 DR. DOSHI: Hi. I'm Tina Doshi. I'm the
5 Associate Director for Therapeutic Review in the
6 Division of Anesthesiology, Addiction Medicine, and
7 Pain in the Office of New Drugs.

8 DR. SUDHAKARAN: My name is Shaan
9 Sudhakaran. I am the medical reviewer, and I am in
10 the same division.

11 DR. WANG: My name is Sue-Jane Wang. I'm
12 the Deputy Division Director from the Division of
13 Biometrics I, Office of Biostatistics, Office of
14 Translational Sciences, CDER, FDA.

15 DR. KIRKPATRICK: Good morning. I'm John
16 Kirkpatrick. I'm an Orthopedic and Spine Surgeon
17 at the Orlando VA Medical Center and a Professor at
18 University Central Florida College of Medicine.

19 DR. SPRINTZ: Hi. I'm Michael Sprintz. I'm
20 boarded in anesthesia, addiction medicine, and pain
21 medicine, and I'm the Medical Director of the
22 Intensive Outpatient Program at Ethos Behavioral

1 Health, and Founder of Sprintz Center for Pain and
2 Recovery.

3 DR. McCANN: Hi. Mary Ellen McCann from
4 Boston. I'm a Professor of Anesthesiology at
5 Harvard Medical School and work at Boston
6 Children's Hospital.

7 DR. BATEMAN: So we'll now go to
8 Dr. Kennedy.

9 DR. KENNEDY: D.J. Kennedy, Professor and
10 Chair of PM&R at Vanderbilt University Medical
11 Center in Nashville, Tennessee.

12 DR. STOJANOVIC: Milan Stojanovic from
13 Boston Harvard Medical School, anesthesia;
14 Assistant Professor at the VA via Boston as well;
15 Editor-in-Chief for International Pain Medicine
16 Journal.

17 CDR BONNER: Good morning. LaToya Bonner,
18 DFO for this meeting, DACCM.

19 DR. BATEMAN: I'm Brian Bateman. I'm
20 Professor and Chair of the Department of
21 Anesthesiology, Perioperative, and Pain Medicine at
22 Stanford.

1 DR. McAULIFFE: I'm Maura McAuliffe. I'm a
2 nurse anesthetist from East Carolina University in
3 Greenville, North Carolina. I teach anesthesia
4 pharmacology in the College of Nursing in the
5 School of Medicine.

6 MR. O'BRIEN: Good Morning. Joe O'Brien,
7 President and CEO of the National Scoliosis
8 Foundation, and I am the patient representative.

9 DR. BICKET: Good morning. My name is Mark
10 Bicket. I'm an anesthesiologist and pain medicine
11 specialist at the University of Michigan, where I'm
12 an associate professor and direct the Overdose
13 Prevention Engagement Network.

14 DR. SCHIFF: Steve Schiff. I'm a Professor
15 of Neurosurgery at Yale, and I serve as the Vice
16 Chair for Global Health in the department, and I'm
17 also a Professor of Epidemiology there.

18 DR. JOWZA: Hi. I'm Maryam Jowza. I'm an
19 anesthesiologist and pain physician, and I work at
20 the University of North Carolina in Chapel Hill,
21 where I am Associate Professor.

22 DR. NELSON: Ariana Nelson, Associate

1 Professor of Anesthesiology and Pain Medicine. I
2 also have an appointment with NASA as part of their
3 Exploration Medical Capability element.

4 DR. REICH: Jeffrey Reich. I'm the industry
5 representative. I'm a CEO and Co-Founder of
6 Sparian Biosciences, which is a biotech company
7 based out of New York, spun out of Memorial Sloan
8 Kettering with CNS-focused therapeutics. Prior to
9 that, I was on faculty for many years in the
10 Department of Neurology and Neuroscience at Weill
11 Cornell, and ran the stroke and migraine service
12 there.

13 DR. BATEMAN: For topics such as those being
14 discussed at this meeting, there are often a
15 variety of opinions, some of which are quite
16 strongly held. Our goal is that this is a meeting
17 that will be a fair and open forum for discussion
18 of these issues, and that individuals can express
19 their views without interruption. Thus, as a
20 gentle reminder, individuals will be allowed to
21 speak into the record only if recognized by the
22 chairperson. We look forward to a productive

1 meeting.

2 In the spirit of the Federal Advisory
3 Committee Act and the Government in the Sunshine
4 Act, we ask that the advisory committee members
5 take care that their conversations about the topic
6 at hand take place in the open public forum of the
7 meeting. We are aware that members of the media
8 are anxious to speak with the FDA about these
9 proceedings; however, FDA will refrain from
10 discussing the details of this meeting with the
11 media until its conclusion. Also, the committee is
12 reminded to please refrain from discussing the
13 meeting topic during breaks or lunch. Thank you.

14 Commander Bonner will read the Conflict of
15 Interest Statement for the meeting.

16 **Conflict of Interest Statement**

17 CDR BONNER: Thank you.

18 The Food and Drug Administration is
19 convening today's meeting of the Anesthetic and
20 Analgesic Drug Products Advisory Committee under
21 the authority of the Federal Advisory Committee
22 Act, FACA, of 1972. With the exception of the

1 industry representative, all members and temporary
2 voting members of the committee are special
3 government employees or regular federal employees
4 from other agencies and are subject to federal
5 conflict of interest laws and regulations.

6 The following information on the status of
7 this committee's compliance with federal ethics and
8 conflict of interest laws, covered by but not
9 limited to those found at 18 U.S.C. Section 208, is
10 being provided to participants in today's meeting
11 and to the public.

12 FDA has determined that members and
13 temporary voting members of this committee are in
14 compliance with federal ethics and conflict of
15 interest laws. Under 18 U.S.C. Section 208,
16 Congress has authorized FDA to grant waivers to
17 special government employees and regular federal
18 employees who have potential financial conflicts
19 when it is determined that the agency's need for a
20 special government employee's services outweighs
21 their potential financial conflict of interest, or
22 when the interest of a regular federal employee is

1 not so substantial as to be deemed likely to affect
2 the integrity of the services which the government
3 may expect from the employee.

4 Related to the discussion of today's
5 meeting, members and temporary voting members of
6 this committee have been screened for potential
7 financial conflicts of interests of their own, as
8 well as those imputed to them, including those of
9 their spouses or minor children and, for purposes
10 of 18 U.S.C. Section 208, their employers. These
11 interests may include investments; consulting;
12 expert witness testimony; contracts, grants,
13 CRADAs; teaching, speaking, writing; patents and
14 royalties; and primary employment.

15 Today's agenda involves discussion of
16 BLA 761393, condoliase injection, submitted by
17 Seikagaku Corporation for the proposed indication
18 of the treatment of radicular leg pain associated
19 with confirmed nerve root impingement caused by
20 lumbar disc herniation in adults. This is a
21 particular matters meeting during which specific
22 matters related to SKK's BLA will be discussed.

1 Based on the agenda for today's meeting and
2 all financial interest reported by the committee
3 members and temporary voting members, a conflict of
4 interest waiver has been issued in accordance with
5 18 U.S.C. Section 208(b)(1) to Dr. John
6 Kirkpatrick. Dr. Kirkpatrick's waiver involves
7 stock holdings in two competing firms. The
8 aggregate market value of his financial interests
9 in the common stocks of the two firms is between
10 \$25,000 and \$50,000.

11 The waiver allows Dr. Kirkpatrick to
12 participate fully in today's deliberations. FDA's
13 reasons for issuing the waiver are described in the
14 waiver documents, which are posted on FDA's website
15 on the advisory committee meeting page, which can
16 be found at www.fda.gov and by searching on
17 January 10, 2025 AADPAC.

18 Copies of the waiver may also be obtained by
19 submitting a written request to the agency's
20 Freedom of Information Division at 5630 Fishers
21 Lane, Room 1035, Rockville, Maryland, 20857, or
22 requests may be faxed via 301-827-9267.

1 To ensure transparency, we encourage all
2 standing committee members and temporary voting
3 members to disclose any public statements that they
4 have made concerning the product at issue. With
5 respect to FDA's invited industry representative,
6 we would like to disclose that Dr. Jeffrey Reich is
7 participating in this meeting as a non-voting
8 industry representative, acting on behalf of
9 regulated industry. Dr. Reich's role at this
10 meeting is to represent industry in general and not
11 any particular company. Dr. Reich is employed by
12 Sparian Biosciences.

13 We would like to remind members and
14 temporary voting members that if the discussions
15 involve any other products or firms not already on
16 the agenda for which an FDA participant has a
17 personal or imputed financial interest, the
18 participants need to exclude themselves from such
19 involvement, and their exclusion will be noted for
20 the record. FDA encourages all other participants
21 to advise the committee of any financial
22 relationships that they may have with the firm at

1 issue.

2 Thank you. I will now turn the meeting back
3 over to our chair.

4 DR. BATEMAN: Thank you. We will now
5 proceed with the FDA introductory remarks from
6 Dr. Tina Doshi.

7 **FDA Opening Remarks - Tina Doshi**

8 DR. DOSHI: Thank you, Dr. Bateman.

9 Good morning and welcome to Dr. Bateman,
10 members of the committee, and invited guests. My
11 name is Tina Doshi. I'm the Associate Director for
12 Therapeutic Review of Pain Medicine in the Division
13 of Anesthesiology, Addiction Medicine, and Pain
14 Medicine in the Office of New Drugs.

15 Today, we will be discussing BLA 761393,
16 condoliase for intradiscal injection. The
17 applicant is Seikagaku, abbreviated SKK. SKK is
18 seeking the indication of the treatment of
19 radicular leg pain associated with confirmed nerve
20 root impingement caused by lumbar disc herniation
21 in adults. The mechanism of action of condoliase
22 is similar to that of a product that has been

1 discontinued since 2001, so if approved, condoliase
2 would represent the only product of this nature to
3 be currently approved and marketed in the United
4 States.

5 After the presentation and clarifying
6 questions for the applicant, Dr. Shaan Sudhakaran
7 will present the agency's clinical review and
8 findings, and our team will take clarifying
9 questions. Dr. Sudhakaran's presentation will set
10 the foundation for the panel discussion later in
11 the afternoon.

12 After clarifying questions to the agency and
13 following a lunch break, we will resume with the
14 open public hearing, during which we will hear from
15 public speakers who have volunteered to share their
16 input with the committee. After the open public
17 hearing, I will give the charge to the committee,
18 and Dr. Bateman will lead the discussion. As you
19 listen to the presentations, I would like to ask
20 all of you to keep in mind the discussion topics
21 that are presented in the briefing document.

22 The condoliase program contains two positive

1 studies and one negative study. While the
2 applicant has submitted substantial evidence of
3 effectiveness, we would like to explore the extent
4 to which the negative study may help inform the
5 appropriate patient population for this product.

6 In addition, our review of the safety
7 revealed two key concerns. First, due to the
8 mechanism of action of the product, it causes
9 mechanical changes to the intervertebral disc and
10 adjacent tissues, which may lead to clinically
11 relevant consequences in the short and long term.
12 Second, this product is a foreign protein, and as
13 such, it has the potential to cause
14 hypersensitivity reactions. There are a number of
15 severe cutaneous adverse reactions in the safety
16 database.

17 Given these concerns and how the drug is
18 intended to be administered, your discussion should
19 also include comments about appropriate
20 proceduralist qualifications and procedural
21 setting. We hope that your discussions will
22 enhance our perspective as we consider this new

1 biologic. We look forward to your discussions, and
2 we sincerely appreciate all of you taking your time
3 away from your busy schedules to assist us. Thank
4 you.

5 DR. BATEMAN: Thank you Dr. Doshi.

6 Both the Food and Drug Administration and
7 the public believe in a transparent process for
8 information gathering and decision making. To
9 ensure such transparency at the advisory committee
10 meeting, FDA believes it is important to understand
11 the context of an individual's presentation.

12 For this reason, FDA encourages all
13 participants, including the applicant's
14 non-employee presenters, to advise the committee of
15 any financial relationships that they may have with
16 applicant, such as consulting fees, travel
17 expenses, honoraria, and interest in the applicant,
18 including equity interests and those based upon the
19 outcome of the meeting.

20 Likewise, FDA encourages you at the
21 beginning of your presentation to advise the
22 committee if you do not have any such financial

1 relationships. If you choose not to address this
2 issue of financial relationships at the beginning
3 of your presentation, it will not preclude you from
4 speaking.

5 We will now proceed with presentations from
6 the sponsor.

7 **Applicant Presentation - Jun Watanabe**

8 MR. WATANABE: Good morning, Mr. Chairman,
9 members of the advisory committee, and FDA. I'm
10 Jun Watanabe, General Manager at Seikagaku North
11 America Corporation, which we will refer to as SKK.
12 Thank you for the opportunity to discuss condoliase
13 for the treatment of radicular leg pain associated
14 with radiologically-confirmed nerve root
15 impingement caused by lumbar disc herniation.
16 Condoliase was approved in Japan in 2018, and the
17 latest estimate, 29,000 patients have been treated
18 in the postmarketing setting.

19 We are so excited to share our data today to
20 bring this important therapy to patients in the
21 U.S. To provide some background, lumbar disc
22 herniation, or LDH, is a result of protrusion or

1 prolapse of the nucleus pulposus and annulus
2 fibrosus in the intervertebral disc. Ninety-five
3 percent of herniation is LDH occurring in the L4-L5
4 or L5-S1 region of the spine. Once the disc has
5 herniated, the leaking nucleus pulposus puts
6 pressure directly on the spinal root, causing
7 symptoms such as numbness and weakness in one or
8 both legs or lower back pain.

9 First-line treatment of LDH is conservative
10 and includes rest, physical therapy, oral
11 medications, and steroid injections, among others.
12 This kind of care is common across industrialized
13 countries, including the U.S., Japan, and Europe,
14 and results in a clinical response in most
15 patients. Surgery is the next treatment option for
16 20 to 50 percent of patients who do not respond to
17 conservative treatment, but there are no other
18 approved options for people who are ineligible for
19 surgery currently or do not consent to surgery.

20 Patients with leg pain due to nerve root
21 impingement and inadequate response to conservative
22 treatment need another treatment option.

1 Condoliase is a glycosaminoglycan, or GAG,
2 degrading enzyme that reduces nerve root
3 compression through lysis of the nucleus pulposus.
4 The nucleus pulposus has a strong ability to hold
5 water due to its GAG chains. It selectively
6 degrades chondroitin sulfate and hyaluronic acid
7 GAG chains. This loss of GAG chains decreases the
8 water-holding capacity to the nucleus pulposus,
9 decreasing its size and relieving disc pressure and
10 compression on the spinal nerve root.

11 When condoliase is injected directly into
12 the nucleus pulposus of the herniated
13 intervertebral disc, it decreases the hernia
14 volume. Since it is a treatment directly injected
15 into the intervertebral disc, it does not require a
16 general anesthetic and is less invasive than
17 surgical treatment, and the decrease in the
18 pressure is expected to reduce the leg pain caused
19 by LDH.

20 Condoliase offers patients a non-surgical
21 treatment option. Our proposed indication is for
22 the treatment of radicular leg pain associated with

1 radiologically-confirmed nerve root impingement
2 caused by lumbar disc herniation, or LDH, in
3 adults. Condoliase is administered as a
4 single-dose injection of 1.25 enzyme units injected
5 into the nucleus pulposus of the herniated
6 intervertebral disc.

7 Let me explain the clinical development
8 program. The primary data set that supports a
9 positive benefit-risk for condoliase consists of
10 four phase 2 and 3 randomized-controlled trials.
11 Studies 1021 and 1031 were conducted exclusively in
12 Japan and resulted in the approval of condoliase in
13 2018 for the treatment of LDH with no sufficient
14 improvement after conservative therapy.
15 Studies 1131 and 1133 were conducted exclusively in
16 the U.S.

17 All four studies are used to characterize an
18 acceptable safety profile of condoliase together
19 and are supported by the extensive postmarketing
20 experience. The data supporting substantial
21 evidence of effectiveness comes from Studies 1031
22 and 1133, demonstrating clinical meaningful

1 reduction in leg pain and improved functionality.

2 As per FDA questions number 1 and number 2,
3 learnings from these studies and the negative
4 study, 1131, inform us on the appropriate patient
5 population for treatment with condoliase, and a
6 detailed explanation will be presented in the
7 efficacy section.

8 Through our clinical trials, we have
9 characterized the labeled patient population to
10 ensure that the most appropriate patients are
11 treated with condoliase as requested by the FDA in
12 question 2. First, the target patient population
13 should have an inadequate response to conservative
14 therapy. Importantly, patients must also have
15 radiologically-confirmed visible nerve root
16 impingement, understanding that patients without
17 impingement are unlikely to benefit. These are
18 also accompanied by established administration
19 technique.

20 It is important to remember that condoliase
21 will be administered by interventional pain
22 specialists as you discuss for FDA question

1 number 4. Patients who are candidates for
2 condoliase have already been enduring leg pain and
3 restricted functionality for many weeks. By the
4 time conservative therapy fails, patients will be
5 managed by a specialist who is trained to do
6 interventional pain medicine. These specialists
7 have experience with spinal injections, which are
8 well-established procedures. They will use
9 fluoroscopic guidance during an injection procedure
10 to increase precision.

11 Let me explain. C-arm fluoroscopic guidance
12 allows continuous imaging to safely administer
13 injection procedures like condoliase. This
14 real-time imaging capability enables the treating
15 doctor to insert the needle precisely and safely
16 into a patient's intervertebral disc. The patient
17 is positioned so that the disc space injected is
18 parallel to the X-ray direction, and needle
19 placement is confirmed throughout the procedure.

20 Before I pass off the presentation, I want
21 to conclude with the key benefits and risks of
22 condoliase for the treatment of radicular leg pain

1 associated with nerve impingement from herniation.
2 Condoliase met the prespecified primary endpoint in
3 two pivotal studies demonstrating significant and
4 clinical meaningful improvement in both early and
5 durable relief of worst leg pain compared with
6 control. It also demonstrated a reduction in
7 herniation volume and improvement in function at
8 week 13 that persisted over time.

9 Overall, condoliase 1.25 dose units were
10 well tolerated with an acceptable safety profile.
11 A warning will however be included for
12 hypersensitivity events, including anaphylaxis,
13 which will be discussed later to address question
14 number 5. The safety profile has been well
15 characterized through clinical use and
16 postmarketing surveillance in approximately
17 29,000 patients. These provide comfort with
18 long-term safety implications and low potential for
19 unintended consequences that will be discussed in
20 question 3.

21 Here's our agenda for today's presentation.
22 Next, Dr. Ajay Wasan will review the unmet need in

1 LDH, Joe Stauffer will then present the efficacy
2 data, and Diane Martire will present the safety
3 data. Tom Fuerst will discuss the disc-related
4 imaging findings in our trials, and finally,
5 Dr. Kee Kim will conclude with his clinical
6 perspective on the condoliase data. We also have
7 additional colleagues joining us today. All
8 external experts have been compensated for their
9 time and expenses related to this meeting.

10 Thank you, and I will now turn the
11 presentation over to Dr. Wasan.

12 **Applicant Presentation - Ajay Wasan**

13 DR. WASAN: Thank you, and good morning. I
14 am Ajay Wasan. I am a tenured Professor of
15 Anesthesiology and Psychiatry at the University of
16 Pittsburgh. I am also Vice Chair for Pain Medicine
17 in the Department of Anesthesiology and
18 Perioperative Medicine. I also co-direct the
19 Center for Innovation in Pain Care, which focuses
20 on the evaluation and treatments for acute and
21 chronic pain. I am pleased to be here today to
22 discuss the important unmet medical need for

1 additional treatment options in patients with
2 lumbar disc herniation who have not responded to
3 conservative measures.

4 Radicular pain is some of the most severe
5 pain a person can experience, and it's often
6 associated with great distress and suffering. Over
7 the years, many potential therapies have tried, and
8 failed, to provide patients with adequate relief of
9 radicular pain, leaving a high unmet need for many
10 patients I care for in my practice.

11 The patients that come to my office or seek
12 care from a specialist have persistent leg pain
13 that is unresponsive to the conservative standard
14 of care. These patients are often experiencing
15 worsening health and quality of life, as well as
16 potential for longer term disability, increased
17 caregiver burden, and lost days from work.
18 Patients with uncontrolled pain self-select since
19 they can no longer tolerate the pain or lost
20 functionality.

21 Currently, there are no approved therapies
22 in the United States for patients with lumbar disc

1 herniation that deliver long-term reductions in
2 pain, improve functionality, and allow patients to
3 avoid risks associated with surgery and long-term
4 pharmacotherapy. Symptomatic lumbar disc
5 herniation affects approximately 1 to 3 percent of
6 the United States population. Males tend to be
7 affected more frequently with a mean age of
8 30 to 50 years old. It is rare for LDH to occur in
9 individuals younger than 20 or older than 65.

10 Certain populations, like those who are
11 obese, are at an increased risk of herniations.
12 These patients are more susceptible to
13 complications and recurrent herniations compared to
14 non-obese patients, which often requires them to
15 undergo multiple revision surgeries.

16 The treatment progression journey is similar
17 across industrialized countries. Patients
18 typically present to their primary care physicians,
19 and are then referred to a specialist who orders
20 MRI and imaging studies. In the Japanese
21 healthcare system, however, early in their
22 presentation to the healthcare system, patients

1 often go directly to a hospital for outpatient care
2 and imaging following their diagnosis. Most
3 patients can then be effectively managed with
4 conservative measures and do not need to progress
5 to interventional treatments.

6 However, patients with continued symptoms,
7 despite conservative therapy, will typically
8 progress to epidural steroid injections, and those
9 who do not respond to a first injection may or may
10 not get multiple injections. The use of epidural
11 steroid injections is more common in the United
12 States compared to Japan. Patients with continuing
13 symptoms after conservative treatment and epidural
14 injections will be recommended for surgery, which
15 is typically a discectomy.

16 Conservative treatment is often multimodal
17 and personalized, depending on the severity of pain
18 and response to treatment, with either one
19 treatment at a time or more than one treatment at
20 once such as medication and physical therapy.
21 These treatments may also include traction therapy;
22 spinal manipulation; chiropractic, exercise, and

1 physical therapy.

2 I may start a patient on anti-inflammatories
3 and possibly a muscle relaxant as first steps, and
4 then to neuropathic medications if needed. These
5 are anticonvulsants and SNRIs such as duloxetine.
6 When those don't provide accurate relief, I often
7 consider a transforaminal or interlaminar epidural
8 steroid injection, knowing that epidural injections
9 may only provide temporary relief.

10 In general, conservative treatment can
11 provide pain relief. According to the 2012 North
12 American Spine Society, or NASS, guidelines, quote,
13 "Most patients will improve independent of
14 treatment. Disc herniation will often shrink/slash
15 regress over time," unquote. These guidelines also
16 state that, quote, "Many, but not all, articles
17 have demonstrated a clinical improvement with
18 decreased size of disc herniations." However,
19 publications cite that 20 to 50 percent of patients
20 do not respond to conservative treatments.

21 So, what happens to these patients?
22 Patients who do not gain symptomatic relief with

1 conservative therapy have limited options. In some
2 cases, the severity symptoms can warrant surgery to
3 help provide enduring relief, and some of these
4 patients do quite well. The treatment gap is
5 composed of patients who either do not want surgery
6 or are poor candidates for surgery due to other
7 health conditions, insurance coverage, or personal
8 reasons. The second bucket is patients who have
9 inadequate response to epidural injections.

10 Currently, there are no other good treatment
11 options for persistent radicular pain, and we must
12 always consider the risks associated with surgical
13 interventions and postoperative complications, some
14 of which are listed here. Even as delivering
15 anesthesia becomes safer due to improved
16 medications, equipment, and monitoring, there are
17 still risk factors for poor outcomes, and this was
18 found in a large study from Norway of more than
19 34,000 herniated lumbar disc surgeries. Three
20 percent had a surgical complication; 2 percent had
21 repeat surgery within 90 days; 2 percent had a
22 non-surgical readmission within 90 days; and

1 7 percent experienced at least one of these
2 unfavorable events. So, while surgery can be a
3 good option for some patients, it still carries
4 risk, and it would be ideal to have an alternative
5 therapy available.

6 In summary, there are no approved
7 pharmacological therapies for patients with LDH
8 that provide early and long-term reduction in the
9 majority of patients with radicular pain while
10 improving functionality. Alternative treatment
11 options are needed for patients with persistent
12 radicular leg pain who do not respond to
13 conservative therapy and seek non-surgical
14 solutions. That is why I am encouraged by the
15 condoliase clinical results that you will see next.

16 Thank you. I will turn the presentation to
17 Dr. Stauffer to share those data.

18 **Applicant Presentation - Joe Stauffer**

19 DR. STAUFFER: Thank you, Dr. Wasan, and
20 good morning to everyone here at the committee.
21 I'm Joe Stauffer, Chief Medical Officer to SKK.
22 Today, I will discuss the condoliase clinical

1 studies that provide substantial evidence of
2 efficacy in appropriately selected patients. I'll
3 start with an overview of the clinical studies and
4 learnings that informed our selection of
5 appropriate patients.

6 Our primary efficacy data is represented by
7 two positive phase 3 randomized-controlled trials,
8 Study 1031 conducted in Japan and Study 1133
9 conducted in the U.S. These two studies
10 established substantial evidence of the
11 effectiveness of condoliase. Our pivotal trial in
12 the U.S. study, 1131, did not show separation
13 between treatment and control for the primary
14 endpoint; therefore, SKK initiated a new pivotal
15 study, 1133, based on the learnings from 1131.

16 To understand the results, SKK conducted an
17 investigation to understand differences between
18 Studies 1031 and 1131 that may have led to the
19 failure. We closely looked across many
20 characteristics of the enrolled population and
21 discovered three key identified differences to
22 patients enrolled in the successful Study 1031 that

1 were markedly different in Study 1131. These
2 included clear nerve root impingement, lack of
3 chronic low back pain, and lack of opioid use.

4 Significantly fewer patients in Study 1131
5 had these factors. The combination of these three
6 factors appeared to explain why 1131 failed to
7 demonstrate a benefit, making it difficult to show
8 a difference between treatment and control. First,
9 a retrospective review of the MRI scans revealed
10 that only 27 percent of people in Study 1131 had
11 confirmed nerve root impingement, but we can't
12 assume that leg pain is due to the herniation
13 because there are other potential causes for leg
14 pain beyond a herniation. This is important
15 because the condoliase mechanism of action is based
16 on shrinking the herniation to diminish the
17 impingement, alleviating the leg pain. That is why
18 MRI confirmation of impingement is important.

19 Second, the study included many patients
20 with chronic low back pain, but we know that low
21 back pain may confound evaluation of leg pain since
22 it contributes to the overall pain, limiting the

1 ability to show a difference. And third, over half
2 the patients were using opioids or other
3 concomitant pain medications. This also confounds
4 evaluation of leg pain and can be caused by chronic
5 back pain, also limiting the ability to show a
6 difference.

7 These learnings from Study 1131 led SKK to
8 work with FDA to design the U.S. pivotal study,
9 1133. Changes to the inclusion and exclusion
10 criteria were intended to recruit an LDH population
11 that more closely resembled the population from
12 Study 1031, the pivotal phase 3 Japanese study.
13 The key changes were to require confirmed
14 demonstrable nerve root impingement assessed by MRI
15 by both study investigators and the third party
16 central reader. Also, we excluded patients with
17 chronic low back pain of at least one year. We
18 also excluded patients with low back pain from
19 causes other than LDH. And finally, we excluded
20 patients on chronic opioid therapy.

21 Based on the learnings from Study 1131 and
22 these important modifications, Study 1133 enrolled

1 a similar patient population to Study 1031. Our
2 interpretation of the data for Study 1131 is
3 limited since the majority of patients had at least
4 one of these key identified confounding factors.
5 With only 25 patients meeting the criteria of our
6 current target patient population, we've limited
7 ability to make any conclusions from the data.

8 Now, turning to the clinical efficacy
9 results, the remainder of my presentation will
10 focus only on Studies 1031 and 1133 that
11 demonstrate substantial evidence of condoliase
12 efficacy. Despite being conducted in different
13 regions, the demographics in these two pivotal
14 studies were similar. The U.S. study, 1133, had a
15 slightly older population compared to the Japanese
16 study, and both studies had similar numbers of
17 males and females.

18 Being that Study 1031 was a Japanese study,
19 all enrolled patients were Asian. The majority of
20 patients in Study 1133 were white. Some baseline
21 characteristics varied between studies; however,
22 the enrolled patients are representative of the

1 target indication populations and their respective
2 countries. It is also important to point out the
3 condoliase and placebo or sham treatment arms are
4 similar when looking at each study individually.

5 Now, let me take you through the study
6 design. The design of Studies 1031 and 1133 were
7 similar. They enrolled adults ranging from
8 20 to 70 years of age with LDH at L4/5, or L5-S1,
9 or L5-L6. Patients were randomized 1 to 1 to
10 either condoliase 1.25 units or a placebo sham
11 injection. The primary endpoint was changed from
12 baseline to week 13 in worst leg pain using the
13 100-millimeter Visual Analog Scale score. All
14 patients were followed for 52 weeks.

15 Study 1133 remained blinded throughout
16 52 weeks. For Study 1031, everyone was blinded
17 through week 13. After week 13, the 1031 data was
18 unblinded only to SKK but not the investigators and
19 patients. For both studies, treatment assignment
20 was not shared with investigators and patients
21 until the database was locked at week 52.

22 Let's look at the endpoints. Efficacy

1 endpoints were also shared across studies. As I
2 mentioned, the primary endpoint for each study was
3 changed from baseline in average worst leg pain
4 score on a VAS at week 13 using an MMRM analysis.
5 The primary analysis used MMRM without imputation,
6 assuming data were missing at random. Secondary
7 endpoints included change from baseline in average
8 worst leg pain score at week 52, herniation volume
9 at week 13, and Oswestry Disability Index, or ODI,
10 score at week 13. I'll outline each of these
11 methodologies for assessing pain in more detail.

12 Worst leg pain was assessed using the
13 100-millimeter Visual Analog Scale score or VAS.
14 Patients indicate their worst pain in the past
15 24 hours along a horizontal line, which ranges in
16 intensity from no pain to pain as bad as you can
17 imagine. A patient's VAS recording is the distance
18 in millimeters from zero or no pain to the pain
19 intensity they indicated.

20 Herniation volume was assessed using MRI
21 scans that were sent to a central imaging facility
22 for analysis. Lumbar disc tissue margins were

1 outlined on each slice. Herniation volume was
2 calculated as the cross-sectional area measured in
3 each slice multiplied by the slice separation.
4 Total herniation volume was therefore equal to the
5 sum of the volume measurements from each slice.

6 The Oswestry Disability Index is a
7 questionnaire containing 10 items of daily living,
8 which are shown here. Each of the 10 items contain
9 6 statements to assess a patient's disability. The
10 statements are given a score from 0 to 5, with 0
11 being no disability and 5 the greatest disability.
12 A total score is then calculated by adding the
13 completed items and dividing by the maximum score,
14 then multiplying by 100 to get a percentage. A
15 higher score indicates a higher level of
16 disability.

17 Let's look at the results. Condoliase met
18 the primary endpoint in the two pivotal studies,
19 showing a significant improvement in worst leg pain
20 at week 13 compared to the placebo group for
21 Study 1031 on the left and compared to sham for
22 Study 1133 shown on the right. Here, I'm showing

1 cumulative distribution plots for Studies 1031 and
2 1133 at week 13. The total percentage of patients
3 is shown on the Y-axis. On the X-axis is the
4 percent reduction in pain from baseline to week 13
5 such that the line represents the cumulative number
6 of patients that achieve that threshold for pain
7 reduction. For example, 78 percent and 68 percent
8 of patients achieved a 30 percent reduction in pain
9 at 13 weeks in the two studies. In comparison, the
10 sham arm showed that 59 percent and 57 percent
11 attained a 30 percent reduction in pain.

12 Now, looking at a 50 percent reduction in
13 pain, we see that 72 percent and 62 percent of
14 patients achieved this threshold at 13 weeks in the
15 two studies. In comparison, only 51 percent and
16 49 percent achieved this threshold with sham. For
17 both thresholds, the effect sizes are similar.
18 Overall, consistently more patients treated with
19 condoliase achieved all levels of pain reduction
20 compared to the placebo group.

21 Next, I will review the secondary endpoints.
22 Looking at long-term efficacy in Study 1133, we

1 observed improvement in worst leg pain with
2 condoliase compared to placebo at week 52. This
3 was not a prespecified endpoint in 1031. When
4 looking at change in weekly averages of worst leg
5 pain, we saw consistent and similar results between
6 the studies. Patients treated with condoliase
7 achieved durable improvements in their pain across
8 the full 52 weeks.

9 Looking at herniation volume across both
10 pivotal studies, there was a numerical improvement
11 in herniation volume at week 13 over placebo.
12 There was also a numerical improvement in ODI score
13 at week 13 over placebo. Both trials involved
14 patients who, on average, had a moderate level of
15 disability according to their ODI. By the end of
16 13 weeks, patients in both trials improved in their
17 disability from moderate to mild on average.
18 Overall, the efficacy data showed that condoliase
19 consistently provided patients with early and
20 durable benefit.

21 Let me summarize that totality of the data
22 starting with Study 1031. Regardless of endpoint,

1 we see a point estimate that consistently favors
2 condoliase, and the prespecified SF-36
3 quality-of-life assessments support the efficacy
4 endpoints, supporting that the results are
5 meaningful to patients. This consistency is also
6 seen with Study 1133. Here, we see that totality
7 of the data in Study 1133. Again, we see that
8 regardless of point estimate, the point estimate
9 consistently favors condoliase. Again, all
10 quality-of-life assessments support the efficacy
11 endpoints, supporting a meaningful benefit. These
12 results provide confidence in supporting
13 substantial evidence of effectiveness.

14 We also have supportive evidence from
15 Study 1133 that the proportion that went on to have
16 surgery for their LDH is reduced with condoliase.
17 During the first year following treatment, fewer
18 condoliase patients treated went on to get surgery
19 to correct their pain. While the difference did
20 not reach significance, it is a good leading
21 indicator for longer term benefits beyond one year.
22 Study 1031 did not show a difference at 52 weeks

1 but did show reduction in surgery when patients are
2 followed for up to 5 years.

3 Here, we show published post-treatment
4 follow-up data of a median of 2 to 5 years that was
5 collected via a systematic survey and evaluation of
6 reconsented patients who participated in the two
7 randomized-controlled studies conducted in Japan,
8 Studies 1021 and 1031. We again see separation
9 with fewer condoliase patients receiving surgery
10 for LDH at the same target level than the
11 placebo-treated patients. These results align with
12 expectations based on the mechanism of action. The
13 reductions in LDH volume diminished the radicular
14 leg pain and diminished the need for surgery.

15 Let me conclude the discussion of efficacy
16 by sharing why efficacy results are clinically
17 meaningful. In summary, the clinical data
18 demonstrate that condoliase provides meaningful
19 efficacy and an alternative option for patients who
20 want to avoid surgery or are ineligible for
21 surgery. Patients treated with condoliase received
22 significant pain relief, which was demonstrated in

1 two pivotal studies that met the primary endpoint.

2 Condoliase also demonstrated an improvement
3 in both early and durable relief of worst leg pain
4 and reduction in herniation volume compared with
5 the control group. Further, the condoliase group
6 saw an improvement in function in the Oswestry
7 Disability Index that persisted over time.
8 Overall, the clinical study data show that
9 condoliase provides patients with significant and
10 clinically meaningful benefits as an alternative to
11 surgical intervention.

12 My colleague, Dr. Martire, will now present
13 the safety findings.

14 **Applicant Presentation - Diane Martire**

15 DR. MARTIRE: Thank you. I'm Diane Martire,
16 and I'm a safety advisor to SKK. I'll now review
17 the safety data that demonstrates that condoliase
18 is well tolerated with an acceptable safety profile
19 in patients with LDH.

20 In agreement with the FDA, the safety
21 database included 1500 patients exposed to
22 condoliase, with 300 followed for at least one year

1 and 150 followed for at least 2 years. Overall,
2 the ISS included 1679 patients who received
3 condoliase, exceeding the agreed-upon number of
4 required exposures for all durations of follow-up.
5 The primary safety data set included the four
6 phase 2 and 3 randomized-controlled studies. This
7 pooling strategy was agreed upon with the FDA in
8 the type B pre-BLA meeting. The data I'll present
9 today include only patients who were treated with
10 the 1.25-unit dose.

11 Shown here is a summary of safety at various
12 time points from day 0 to the primary endpoint at
13 week 13 and through week 52. For Studies 1021 and
14 1031, after week 13, only SAEs and AEs related to
15 the intervertebral disc were recorded. In both
16 groups, most adverse events occurred within the
17 first 13 weeks. Rates of severe AEs and SAEs were
18 low and occurred at similar rates between treatment
19 groups. There were few discontinuations due to
20 adverse events, and no deaths were reported within
21 the first 13 weeks. No deaths were assessed as
22 related to study drug. Overall, no clinically

1 meaningful safety signals or imbalance in safety
2 reporting have been identified.

3 Here, we see AEs that occurred up to week 13
4 in more than 3 percent of patients. Back pain
5 occurred most often in both treatment groups. The
6 most common events in condoliase-treated patients
7 were back pain and MRI spinal abnormal. Back pain
8 is to be expected with injection of the product
9 directly into the disc, and the MRI spinal abnormal
10 AEs are all modic changes, which will be discussed
11 in detail by the next presenter.

12 Given the FDA's interest in back pain, let's
13 look more closely at these events. Here, we show
14 all back pain adverse events reported throughout
15 the pooled studies by time of onset. In line with
16 expectations for an injected product, we see that
17 the imbalance occurs early, within the first 7 days
18 following injection. After that time, new onset
19 back pain events are balanced. This is also
20 confirmed by looking at back pain AEs after
21 week 13.

22 Overall, MRI spinal abnormal -- that is,

1 modic changes -- and back pain were the most common
2 events reported for condoliase from week 13 to
3 week 52. In line with the previous slide, the
4 frequency of back pain AEs, shown in the middle of
5 the table, is greatly reduced compared to the first
6 13 weeks of follow-up and is now balanced between
7 the treatment groups.

8 There were a few serious adverse events that
9 occurred up to week 13, and no preferred terms were
10 reported in more than two condoliase-treated
11 patients. When looking after week 13, the only
12 event that occurred in two or more patients at each
13 time point was intervertebral disc protrusion.
14 Rates were very low and didn't occur in
15 placebo/sham group after week 26. When looking at
16 long-term adverse events that occurred after
17 week 52, we saw a similar percentage of AEs between
18 treatment groups. These data include only
19 Study 1131. MRI spinal abnormal modic changes and
20 back pain occurred most often at its similar rates
21 in both treatment groups.

22 Now, turning to select safety topics of

1 interest, we identified these select safety topics
2 of interest that warranted additional
3 investigations due to identified or potential
4 risks. Overall, safety topics of interest AEs
5 occurred at a low frequency and narratives for
6 these events have been provided in your briefing
7 document. For this presentation and based on the
8 mechanism of action and proposed labeling, I'll
9 focus on hypersensitivity, anaphylaxis, and spinal
10 abnormalities.

11 Protein-based drugs are known to carry risk
12 for anaphylaxis and hypersensitivity, so we looked
13 at the narrow standard measure queries for
14 hypersensitivity and anaphylactic reaction.

15 Overall, hypersensitivity events occurred in
16 4 percent of patients in the condoliase group
17 compared to 3 percent in placebo or sham group.
18 Rash was the most common event, and none of the
19 rash AEs were serious. There were no anaphylactic
20 reaction events reported in the clinical trials.

21 Moving to spinal abnormality adverse events,
22 there were few spinal abnormalities of interest,

1 which were adverse events as reported by the
2 investigator. These occurred in 5 patients or
3 approximately 1 percent of patients in both
4 treatment groups across time intervals. Most
5 events occurred more than 13 weeks following
6 injection, with two condoliase patients having an
7 AE of spinal osteoarthritis compared to one in the
8 placebo arm.

9 To summarize safety, condoliase was well
10 tolerated with an acceptable safety profile for
11 patients with radicular leg pain associated with
12 LDH. Overall, the majority of AEs were mild or
13 moderate in severity, and rates of SAEs were
14 similar among patients who received condoliase
15 versus control. Hypersensitivity was infrequently
16 reported. Most events were mild to moderate in
17 severity. There were no reports of anaphylaxis.
18 Spinal abnormality AEs as reported by the
19 investigators occurred in about 1 percent of
20 patients in each treatment group. SKK also
21 separately investigated imaging findings, which
22 will be discussed at length by the next presenter.

1 Before turning the presentation over, I'll
2 briefly share what we've seen so far in the
3 postmarketing setting. As of the 4-month safety
4 update, the postmarketing safety database includes
5 an estimated 27,000 patients and is consistent with
6 the safety profile observed in clinical trials,
7 with most events related to pain or
8 hypersensitivity. Highlighted in yellow are the
9 events related specifically to hypersensitivity.

10 Although infrequently reported, SKK
11 acknowledges that protein-based injected product
12 like condoliase carried the potential for
13 hypersensitivity, including anaphylaxis, and we
14 take this very seriously. There have been three
15 reports of AEs in the anaphylactic reaction SMQ in
16 the postmarketing experience. All these events
17 resolved without sequelae.

18 Because of these events, language around
19 this topic will be included in the warnings and
20 precautions section of the proposed product label.
21 Post-injection monitoring will align with practices
22 used with epidural steroid injection and will

1 include monitoring for vital signs, pain, and
2 neurologic status. We also intend to provide a
3 patient leaflet advising patients to seek
4 appropriate treatment should they experience rash
5 or serious hypersensitivity symptoms.

6 I'll now pass the presentation to Dr. Fuerst
7 to review the imaging findings.

8 **Applicant Presentation - Thomas Fuerst**

9 DR. FUERST: Thank you. I'm Tom Fuerst, and
10 I'm the Chief Science Officer at Clario and a
11 Radiology Consultant to SKK. SKK prospectively
12 conducted imaging to evaluate the potential for
13 incremental long-term disc degradation following a
14 single injection of condoliase. X-ray and MRI
15 imaging was collected over the course of the
16 studies from screening through week 52 and an
17 additional week 104 time point in Study 1131.

18 Imaging at each time point was assessed by a
19 central reader for prespecified changes suggestive
20 of disc degeneration. These included a decrease in
21 disc height from baseline of at least 30 percent;
22 intervertebral body angle and flexion posture of at

1 least 5 degrees; and intervertebral body dynamic
2 translation of at least 3 millimeters.

3 We also evaluated for modic changes in the
4 adjacent vertebral bodies, where modic type 1
5 represents inflammation in the adjacent bone
6 marrow; modic type 2 represents fat conversion
7 following the inflammation; and modic type 3
8 indicates bony sclerosis. It's important to note
9 that these imaging findings were reported based
10 solely on the observations made in the X-ray and
11 the MRI images without regard to symptomatology or
12 patient complaint.

13 Let's look at the results. Analysis of
14 spine X-rays showed more condoliase-treated
15 patients had a decrease in disc height of at least
16 30 percent from baseline, consistent with the
17 method of action. The other criteria, which the
18 scientific literature associates with spinal
19 instability, were observed infrequently and at
20 similar rates between condoliase and control
21 groups, suggesting that treatment with condoliase
22 does not worsen spinal stability.

1 While modic changes were observed with
2 similar frequency in both groups at baseline, new
3 modic type 1 change was more frequent in the
4 condoliase group, occurring at a rate of 27 percent
5 of patients at week 13 compared to 5 percent in the
6 placebo/sham group. By week 52, the rate in the
7 condoliase group showed a small increase to
8 32 percent while the placebo/sham group showed a
9 larger steady increase to 15 percent. In contrast,
10 the incidence of more advanced changes, identified
11 as modic type 2 and 3, was much smaller and similar
12 to placebo or sham.

13 While there has been no direct comparison
14 between discectomy and condoliase, data from the
15 scientific literature suggest that the imaging
16 changes observed after condoliase treatment are of
17 the same type and similar frequency as changes
18 observed post-surgery. Notably, we also observed
19 disc height loss in the control arms of the
20 clinical trials of 7 percent at week 52.

21 We investigated the clinical significance of
22 imaging findings after condoliase administration

1 but found no consistent relationship with leg pain
2 or back pain. Nevertheless, to further understand
3 the potential risks of condoliase treatment, an
4 expert neurosurgeon, Dr. Kee Kim, reviewed the data
5 study patients with imaging findings. He will
6 present the results of his review next.

7 **Applicant Presentation - Kee Kim**

8 DR. KIM: Good morning. I am Kee Kim, a
9 Professor of Neurological Surgery at UC Davis and
10 the Chief of Spinal Neurosurgery and Co-Director at
11 the UC Davis Spine Center. I am pleased to be here
12 today to provide my clinical perspective on
13 condoliase for patients with lumbar disc
14 herniation. As you heard earlier, I was asked to
15 review the imaging changes observed after
16 condoliase administration, and I'd like to begin by
17 sharing the key points of my review.

18 In my evaluation, I reviewed all patients
19 who exceeded the prespecified thresholds that
20 Dr. Fuerst just discussed. I also reviewed those
21 who had LDH surgery at the treated level. I
22 carefully reviewed all of the patient profiles,

1 which include demographics, concomitant
2 medications, medical history, and adverse events.
3 I also reviewed results from their neurological
4 exams, function and disability questionnaires, and
5 leg and back pain VAS plots. Overall, my goal was
6 to interpret the clinical significance of the
7 imaging findings and identify any increased risk or
8 relationship to clinical outcomes.

9 First, it's important to note that disc
10 height loss and new modic changes are known to
11 occur with the natural history of LDH, and we see
12 individual variation regarding association of these
13 changes with patient outcomes. After reviewing the
14 data from the condoliase clinical trials, I
15 concluded that the imaging findings did not have a
16 clear association with clinical outcomes.

17 I can say that confidently since there were
18 no differences between patients with and without
19 imaging AEs in leg pain scores or ODI through one
20 year follow-up and post-study out to 5 years. Some
21 patients with modic type 1 changes had concurrent
22 back pain in both treatment groups, but the

1 clinical relevance of these changes is not well
2 established.

3 These observations were aligned with the
4 mechanism of action of condoliase and the natural
5 course of the disease, and the magnitude was not
6 predictive of functional impairment. Overall, the
7 imaging findings were not clinically meaningful,
8 with no observable impact on pain or functionality
9 at 13 or 52 weeks.

10 Now, turning to the need, as you've heard
11 from Dr. Wasan, our first line of treatment for
12 patients with radicular leg pain associated with
13 LDH without significant neurological deficit is
14 conservative, and it works for most patients. We
15 can typically try different conservative methods or
16 combinations of therapies to achieve adequate pain
17 relief; however, patients who don't respond to
18 conservative therapy end up having surgery.

19 While many patients are satisfied with
20 surgery, it still carries risk and the potential
21 for residual pain. Of course, every treatment
22 decision must be in the best clinical interest of

1 the patient. Some are not candidates for surgery
2 due to age or comorbid conditions. If the risks
3 are too high, in my clinical opinion, I don't
4 recommend surgery for that individual.

5 For these scenarios, patients are still in
6 desperate need of therapy but currently have no
7 other options. That is where condoliase could fill
8 the treatment gap. Importantly, patients treated
9 with condoliase will still be candidates for
10 surgery later on, even at the same disc level. For
11 many patients enduring leg pain due to LDH,
12 avoiding surgery is an important treatment goal.
13 Some patients don't want surgery or aren't willing
14 to accept the associated risks and recovery, and
15 will continue to suffer with their pain or limit
16 their lifestyle to avoid activity.

17 I've had patients with insurance issues and
18 other personal situations that make surgery a
19 challenging and sometimes impossible treatment
20 option. Condoliase provides an effective and
21 well-tolerated option for patients to reduce LDH
22 volume that allows them an alternative to surgery,

1 as demonstrated by long-term follow-up data shown
2 earlier by Dr. Stauffer. Consistent with the
3 mechanism of action, condoliase provides meaningful
4 improvements for patients by shrinking the lumbar
5 disc herniation, removing impingement from the
6 effective nerve root, and relieving the leg pain
7 caused by the nerve root impingement.

8 Here, you can see images of herniated disc
9 from a condoliase study patient throughout the
10 course of the trial. The yellow arrows point to
11 the clear protrusion of the nucleus pulposus at
12 screening that is quite large. The idea of
13 internal treatment could shrink disc herniation,
14 diminishing the pressure on the nerve root to
15 relieve the patient's pain without needing a
16 discectomy.

17 We can see that the herniation improves
18 early at 6 weeks after the single condoliase
19 injection and continues through week 52. The pain
20 relief associated with these changes is clinically
21 meaningful to patients. I've had patients tell me
22 that the pain relief provided by condoliase has

1 restored their usual functioning and gave them back
2 the ability to do everyday tasks they could no
3 longer do, and they were able to achieve this
4 without surgery.

5 Importantly, confirmation of visible nerve
6 root impingement would allow appropriate patient
7 selection. This makes perfect sense since we
8 should only expect condoliase to improve leg pain
9 when it is linked to a herniation causing nerve
10 compression. If there is no impingement, then
11 reduction in herniation volume will not alleviate
12 the pain.

13 Fortunately, MRI confirmation of impingement
14 is standard practice and readily apparent on
15 imaging, as shown in the scans. Conversely, when
16 there is no contact whatsoever, there is clear
17 separation between the hernia and the nerve. From
18 my perspective, the benefit-risk assessment is
19 positive, and I hope to have condoliase available
20 as an important additional therapy option for
21 patients. Thank you. I will now turn the
22 presentation back to SKK.

1 **Applicant Presentation - Joe Stauffer**

2 DR. STAUFFER: Thank you, Dr. Kim.

3 Before we take your questions, I'd like to
4 briefly conclude with the benefit-risk assessment
5 for condoliase. We've heard today that there is a
6 clear unmet need for an effective and safe therapy
7 for radicular leg pain in patients with LDH. In
8 two pivotal studies, condoliase met the
9 prespecified primary endpoint, demonstrating
10 significant and clinically meaningful improvement
11 in both early and durable relief of worst leg pain.
12 It also demonstrated reduction in herniation volume
13 and improvement in function at week 13 that
14 persisted over time. Regarding safety, condoliase
15 was well tolerated with an acceptable safety
16 profile across clinical studies. It has been well
17 characterized through clinical use and
18 postmarketing surveillance in approximately 29,000
19 patients.

20 Condoliase gives patients with radicular leg
21 pain due to LDH the option to receive an effective
22 and well-tolerated therapy to diminish leg pain,

1 and labeling and awareness of the study results,
2 including Study 1131, will reinforce use of
3 condoliase in appropriately selected patients.
4 This begins with reserving condoliase as an option
5 for patients that have had an inadequate response
6 to conservative therapy and requiring radiological
7 confirmation of visible nerve root impingement by
8 the herniation. In fact, clinical situation and
9 learnings help diminish concerns about patient
10 selection or safe administration.

11 Patients unresponsive to conservative
12 therapy will be treated by trained specialists who
13 are experienced with spine injections. Awareness
14 that patients without impingement are unlikely to
15 benefit will prompt confirmation of nerve
16 impingement. Thank you, and we look forward to
17 your comments.

18 **Clarifying Questions for Applicant**

19 DR. BATEMAN: Thank you.

20 We will now take clarifying questions for
21 the sponsor. When acknowledged, please remember to
22 state your name for the record before you speak and

1 direct your questions to a specific presenter, if
2 you can. If you wish for a specific slide to be
3 displayed, please let us know the slide number, if
4 possible. Finally, it'd be helpful to acknowledge
5 the end of your questions with a thank you and the
6 end of your follow-up questions with, "That is all
7 for my questions," so we can move on to the next
8 panel member.

9 Are there any clarifying questions for the
10 presenters? We'll start with Dr. McCann.

11 DR. McCANN: Thank you. My question first
12 question is for Dr. Martire. Do you have any data
13 about repeat injections from the Japanese
14 population?

15 DR. STAUFFER: Hold on, Diane. We need to
16 get the microphone up. I apologize.

17 DR. MARTIRE: Diane Martire, safety.

18 DR. STAUFFER: There we go.

19 DR. MARTIRE: There have been anecdotal
20 reports in the postmarketing experience of repeat
21 injection with no untoward effects reported, one
22 case. I have no other information.

1 DR. McCANN: So there's been one case of
2 repeated injection or there's been one adverse
3 reaction in the --

4 DR. McCANN: One case of repeat injection in
5 the postmarketing, inadvertent, but it was
6 recorded, and there was no adverse event
7 associated.

8 DR. McCANN: Okay. So the 29,000 people,
9 there was not a patient that went on to herniate a
10 disc at a different level --

11 DR. MARTIRE: No.

12 DR. McCANN: -- that got treated.

13 DR. MARTIRE: The natural history of the
14 disease, which one of my colleagues can speak to
15 more expertly, there were patients who went on to
16 have surgery because of herniation at a different
17 level.

18 DR. McCANN: Okay. Alright.

19 My other question -- thank you -- is for
20 Dr. Stauffer.

21 DR. STAUFFER: Yes.

22 DR. McCANN: I guess I'm trying to figure

1 out what the pool of patients are in the United
2 States that meet the criteria, meaning how
3 difficult was it to recruit the patients who had
4 herniation who were not exposed to opioids and had
5 no low back pain.

6 DR. STAUFFER: So not exposed to opioids and
7 had low back pain, and still recruited into the
8 trial?

9 DR. McCANN: Yes, for Study 1133. Is that
10 10 percent of the population of people with
11 herniation?

12 DR. STAUFFER: I can speak to there are
13 populations of patients for clinical trials, and
14 then populations of patients for clinical practice.
15 In clinical trials, it's often harder to recruit
16 patients because you have to meet certain inclusion
17 and exclusion criteria. I think, as we pointed out
18 here -- and I have a slide to show you, if it comes
19 up -- we're talking about 1 to 3 percent of the
20 U.S. population with this particular condition.
21 Now, many of these patients, some are on opioids
22 and some are not. Also, some have low back pain as

1 well. Low back pain is not always caused by lumbar
2 disc herniation and not all lumbar disc herniations
3 necessarily have spinal root impingement either.

4 So, it was a manageable trial. The second
5 one was more difficult during COVID, but
6 manageable, and we were able to get them. I do
7 think that there is a cohort of patients out there
8 that need this therapy. The mechanism of action
9 lends itself to that. Again, it's only for
10 patients, though, who have failed conservative
11 therapy, so those conservative therapies 6 weeks or
12 longer.

13 I can have perhaps Dr. Ahmed discuss also
14 the broader population of patients.

15 DR. McCANN: Sure.

16 DR. STAUFFER: Because you're asking about
17 what might be out there in the clinical --

18 DR. McCANN: But I just want to
19 know -- you've mentioned the word
20 "cohort" -- exactly how large this cohort is
21 anticipated to be.

22 DR. STAUFFER: Dr. Ahmed?

1 DR. AHMED: Of the patients that have been
2 identified -- Raza Ahmed, Medical Director with
3 Ferring; excuse me. Of the patients that do fit
4 the criteria that Dr. Stauffer just spoke about, we
5 anticipate about 20 to 50 percent of those patients
6 can potentially be candidates, based on the
7 clinical evaluation of the specialists that they've
8 worked with.

9 DR. McCANN: Thank you.

10 DR. BATEMAN: I have a question for
11 Dr. Stauffer, and this relates to the negative
12 trial, 1131. Can you show the results with respect
13 to the primary endpoint for the subset of patients
14 with evidence of nerve impingement on MRI?

15 DR. STAUFFER: So you're looking for a
16 subset analysis --

17 DR. BATEMAN: For the 69 patients that had
18 imaging-confirmed nerve impingement.

19 DR. STAUFFER: I understand. Ben Vaughn,
20 who is our statistician, will discuss for you.

21 MR. VAUGHN: Ben Vaughn, statistics. What
22 we run into there is that we just get into a small

1 sample size problem. While we do have a number of
2 subjects that do have the clear nerve root
3 impingement, only 21 subjects in the sham group
4 fell into that category, so the results are very
5 unstable. Once we factor in some of these other
6 compounding factors, it's really hard to interpret
7 results from something like that. We get down to
8 just 5 target patients in the sham group and 20 in
9 the active group.

10 DR. BATEMAN: Are you able to show the
11 results for the 79 versus the 21? I recognize the
12 confidence intervals will be wide and the results
13 unstable, but can we see the the effect size for
14 the primary endpoint?

15 MR. VAUGHN: Sure. Here, just focusing on
16 the active groups, again, this gets it down to the
17 subjects with chronic low back pain or without the
18 impingement; that's the upper line, and the lower
19 line are the patients that we would consider to be
20 more of our target population and don't have
21 chronic low back pain and do have the clear
22 impingement, so you can see the effect of that and

1 how they're improved. Thank you.

2 DR. BATEMAN: Can we see the results for the
3 69 where you had MRI evidence of impingement?

4 That's not shown here.

5 MR. VAUGHN: Sure. We do not have that
6 prepared, but we can potentially --

7 DR. BATEMAN: Maybe after the break.

8 DR. STAUFFER: Sure.

9 MR. VAUGHN: Thank you.

10 DR. BATEMAN: Dr. Stojanovic?

11 DR. STOJANOVIC: Thank you. We have acute
12 disc protrusion. There are two reasons for having
13 radicular pain. One is the mechanical compression
14 of the nerve root; the other one is chemical
15 inflammation. Where you have complete annular
16 tear, the disc material leaks out. And it's
17 recognized as a foreign body because it's kind of
18 an immunological privilege. That's why we have
19 acute radicular pain from chemical sensitization of
20 nerve root, so there are two reasons you can have
21 radiculopathy.

22 So there are cases when you don't have

1 complete annular tear; you have contained disc
2 herniation, so this concept makes sense. I just
3 haven't heard what happens if you have a complete
4 annular tear. You have a leak of the disc
5 material, so if you're applying this treatment, how
6 is the disc going to shrink where the disc material
7 is leaking? This is one question.

8 The subquestion is what happens if you
9 administer condoliase where you have a complete
10 annular tear, where the medication actually leaks
11 out? Are there any problems with this? I have two
12 other subquestions after this, so this is the first
13 one.

14 DR. STAUFFER: The first question was about
15 annular tears, the herniation leaking out. I think
16 that was the first piece.

17 DR. STOJANOVIC: Yes, the first piece,
18 leaking out, how would the disc shrink if the disc
19 has leaked out already? And the other thing is,
20 once you administer the medication, would the
21 medication leak out and cause any problems?

22 DR. STAUFFER: On the first part of your

1 question, this biologic is only indicated for those
2 disc herniations that are not leaking, so it's only
3 indicated for those. If I could show a picture
4 here, it might provide a little bit more clarity on
5 the types of protrusions that we have. Again, it's
6 only indicated for those, and I'll have Tom Fuerst
7 discuss the rest of your question.

8 DR. FUERST: Tom Fuerst, radiology advisor.
9 With regard to selection of patients for the
10 trials, we included both patients that had tears
11 and without tears. We saw response, as you saw it
12 in the total group. We didn't look specifically at
13 those with and without tears, but we do believe by
14 the method of action, we would still have reduction
15 in the size of the herniation, even if there's a
16 tear present.

17 DR. STOJANOVIC: Well, what the indication
18 for the treatment would be at the absence of
19 complete annular tear.

20 DR. STAUFFER: I think that would be the
21 appropriate part for the indication. I could have
22 Dr. Fuerst comment.

1 DR. FUERST: Tom Fuerst. No, I don't think
2 that would be a part of the indication. We studied
3 both herniations with tears and without tears.

4 DR. STOJANOVIC: One more subquestion for
5 you that's different. You showed a decrease in
6 disc protrusion after treatment. Are there any
7 data to compare the natural course of disease? We
8 know that the disc can shrink with time and with
9 surgery, so surgery, natural versus treatment.

10 DR. FUERST: Yes. Thank you. In the trial,
11 of course, we had the control arm, and we did see a
12 reduction in herniation size in the control arm as
13 well. The reduction with condoliase treatment was
14 larger than in the control arm. But you're
15 correct; there is a natural resolution of
16 herniation as the disc naturally dehydrates and
17 shrinks.

18 DR. STOJANOVIC: With surgical data after
19 discectomy.

20 DR. FUERST: Oh, I'm sorry.

21 DR. STOJANOVIC: In comparison to after
22 discectomy reduction of disc; is it comparable to

1 discectomy data? Do we have any studies on that?

2 DR. FUERST: Yes. I don't have direct
3 information about that. With regard to surgical
4 discectomy, it really is dependent upon how
5 aggressive the surgeon is and how much disc
6 material they remove, and their different schools
7 of thought with regard to that.

8 DR. STAUFFER: Perhaps Dr. Kim could add
9 some color to that commentary.

10 DR. KIM: Sure. Kee Kim, neurosurgeon, and
11 Dr. Fuerst actually did a good job. It really
12 depends on how aggressive you are as a surgeon in
13 terms of discectomy. We have moved away from doing
14 really aggressive, where you try to not only remove
15 the offending disc but also remove additional
16 discs, thinking that you're going to reduce the
17 risk of recurrent disc herniation.

18 So now, a lot of surgeons are just removing
19 the offending disc. So even with that, I suspect
20 that if you actually get the MRI, depending on how
21 much more you remove, as opposed to condoliase
22 treatment, I suspect it will favor surgery in terms

1 of actually being able to visualize that.

2 DR. STOJANOVIC: Thank you very much.

3 My last question is regarding the outcomes.

4 If you look at the forest plot data, figure 32,

5 basically you have mean data and categorical data.

6 Here, we have categorical data, 32, and 50 percent

7 of the patients, they have positive outcome in

8 between treatment and placebo or sham. So the

9 difference is statistically significant but not in

10 all places, and it's not that impressive.

11 Just looking at the other things that might

12 improve, and narrowing selection criteria, if you

13 look at the forest plot in figure 32, it looks like

14 the patients' disc degenerates with age, so

15 patients who were older actually did not respond as

16 well. The forest plot doesn't favor condoliase

17 treatment if you look at figure 32.

18 DR. STAUFFER: This is a different look at

19 the forest plot data, and I can have Ben Vaughn

20 comment more on the statistics. But there are

21 other endpoints that matter, not just pain, as part

22 of the overall package for the drug, and certainly

1 the disability index, as well, is important. So,
2 we believe it's important to remember that these
3 patients do get a pretty nice response. It is
4 statistically significant and clinically relevant
5 in both clinical studies that we had, understanding
6 there are clearly differences in certain of the
7 ages.

8 Ben?

9 DR. STOJANOVIC: It's a different slide,
10 figure 32.

11 DR. STAUFFER: I'll bring it up.

12 MR. VAUGHN: Perfect. Ben Vaughn,
13 statistics. We do acknowledge that the estimates
14 bounce around a bit, and you will note that there
15 are some very wide error bars on there. We get
16 into some small sample sizes. For example, in
17 1031, 50 to 59 years old, it's 10 versus
18 7 subjects.

19 So inference is a little difficult once you
20 get into that, but you'll notice across the board,
21 in that yellow zone, any time that you see the bold
22 blue dot there in the yellow zone, that favors

1 active. So, the overall picture of this is we are
2 falling on the appropriate side of that line; and,
3 yes, sometimes the error bars do extend past that
4 and its small sample size.

5 DR. STOJANOVIC: Thank you. Those are all
6 my questions.

7 DR. BATEMAN: Okay.

8 Dr. Sprintz?

9 DR. SPRINTZ: Hi. Michael Sprintz. This is
10 a question for a Dr. Stauffer. On slide 85, we're
11 going to be voting on -- or at least as it's
12 currently written -- using condoliase for the
13 treatment of radicular pain associated with
14 confirmed nerve root impingement caused by LDH, but
15 you've also talked about the indication. Why does
16 that indication that we're voting on not mention
17 patients who failed conservative therapy but is
18 really only for MRI confirmed, or will that be it?

19 DR. STAUFFER: We would clearly expect that
20 to be part of the label as well, described in the
21 clinical studies section, so those patients who are
22 failing conservative therapy.

1 DR. SPRINTZ: Okay. Yes. Great. I just
2 wanted to make sure that was a part of that.

3 DR. STAUFFER: Thank you.

4 DR. SPRINTZ: And then for Dr. Martire, you
5 said that you intend to distribute a patient
6 leaflet about hypersensitivity reactions. I mean,
7 we know the patients rarely will read the product
8 information label when they're getting the
9 procedure done. So my question is, is it an
10 intention to do that or is this something that the
11 company will do?

12 DR. MARTIRE: I'll refer this to Dr. Ahmed.

13 DR. STAUFFER: We'll take it to Dr. Ahmed.

14 DR. SPRINTZ: Oh, okay. I apologize.

15 DR. STAUFFER: That's okay.

16 DR. AHMED: Raza Ahmed, and I'm with
17 Ferring. We do plan on distributing a patient
18 leaflet post-injection, so we will do that.

19 DR. SPRINTZ: Okay. Thank you.

20 DR. BATEMAN: Dr. McAuliffe.

21 DR. MCAULIFFE: Hi. This is for
22 Dr. Stauffer as well. I heard you say several

1 times that the patients were having noticeable and
2 meaningful efficacy --

3 DR. STAUFFER: Right.

4 DR. McAULIFFE: -- and I was looking at the
5 data at Study 1133 and 1031, which were your
6 positive primary efficacy studies. And on the
7 visual analog scales, it's at week 13 minus 7.5 and
8 minus about 15 millimeters. And I'd like to see
9 that in context a little bit more. Is that really
10 a robust noticeable difference in pain, and are
11 there any studies that compare that to more
12 conservative therapies, visual analog scales and
13 surgical scales?

14 DR. STAUFFER: For chronic pain trials,
15 whether they're oral medications, topical
16 medications, et cetera, in low back pain as an
17 example, or even in this case in radiculopathy,
18 about a 10-point change on a 100-point scale is
19 clinically meaningful. There are other elements I
20 can discuss as well, but in this case, it's, on
21 average, about a 0.4 effect size, which is pretty
22 robust, believe it or not.

1 That was Study 1031; that's the effect size
2 down to 0.26 for Study 1133, with an average effect
3 size of around 0.3. That is a modest effect size
4 and meaningful effect size just in pain alone.
5 When you add that into the other elements as part
6 of a patient's experience, not just their pain
7 score but also their disability, what that does,
8 and also the relatively benign safety profile,
9 that's clinically meaningful to patients.

10 I can just bring up this slide just to give
11 you an example of what opioids might look like.
12 These are effect sizes for opioids. What we have
13 here is a therapy that's non-opioid and non-NSAID,
14 and you can see that these mean differences are
15 kind of all over the place with oral medications.
16 This targeted treatment with condoliase actually
17 sometimes can outperform those drugs. We're not
18 suggesting that this is better than them, but I'm
19 just trying to provide context for you about is
20 that pain score meaningful, and it is.

21 I'll have Ben Vaughn also speak to part of
22 the statistics.

1 MR. VAUGHN: Sure. If we can bring up the
2 core slide with the CDF plots, one other way to
3 look at this is just thinking about 30 percent and
4 50 percent reduction in pain that's widely accepted
5 to be clinically meaningful, and you can see the
6 gaps between those two lines are the difference in
7 percentage. At 50 percent, we had 62 percent
8 versus 49 percent in the U.S. and 72 percent versus
9 51 percent. So that's a pretty wide gap in this;
10 50 percent reduction in pain, which is a very
11 robust clinically meaningful result on an
12 individual patient basis.

13 DR. McAULIFFE: So is that saying that
14 49 percent of the patients who had placebo had that
15 50 percent reduction as well?

16 MR. VAUGHN: Correct, versus 62 percent
17 inactive.

18 DR. McAULIFFE: Thank you.

19 DR. BATEMAN: Dr. Bicket?

20 DR. BICKET: Thank you. I had a question
21 for a slide that Dr. Fuerst -- CO-74 -- had
22 presented. I know at the bottom, it mentioned

1 about having no consistent relationship between
2 modic changes in leg and back pain. I was hoping
3 to hear some clarification.

4 In reading the packet from SKK, later on in
5 the packet -- I think it was around page
6 97 -- there was some description about an expert
7 reviewer that was interpreting modic changes. I
8 think the sentence had read something on lines of
9 the expert reviewer did note that patients with new
10 modic type 1 tended to be more likely to report
11 back pain. So I was just wondering if you could
12 help clarify those two statements there.

13 DR. FUERST: Yes. Thank you. Tom Fuerst.
14 If we could have slide DI-16. Nope, that's not the
15 one. It's the modic and back pain slide.

16 Let me speak to it while this slide is
17 coming up. We saw from Dr. Martire, with respect
18 to back pain findings, a lot of that was focused on
19 the first 7 days after treatment, and we believe
20 it's procedural related. Later in time, we saw
21 those back pain findings were similar between the
22 placebo and control groups, and I wanted to explore

1 that a little bit more on this particular slide.

2 Again, looking at the second line here,
3 onset less than 7 days, we see roughly balanced
4 treatment response, or balanced rate of back pain
5 AEs in patients with and without modic changes in
6 both the condoliase group, as well as the control
7 group. The last line here I think is what's most
8 instructive. After 7 days and after the procedural
9 pain has been relieved, we see that patients that
10 have modic type 1 changes in the condoliase group
11 do have a somewhat higher rate of back pain AEs,
12 15 percent versus 19 percent. But if we look also
13 at the placebo and sham group, we see that we have
14 a similar rate of events and a similar pattern of
15 relationship between presence of modic type 1
16 change and not. When we looked at leg pain, there
17 was no association or relationship between any of
18 the imaging findings and the leg pain response that
19 patients had.

20 DR. STAUFFER: If we could have Dr. Kim, as
21 well, comment.

22 DR. BICKET: I just have one --

1 DR. STAUFFER: Sorry.

2 DR. BICKET: -- question while you're still
3 there. Would you just remind us about the
4 follow-up time for the data presented on DI-26?

5 DR. FUERST: So, this is all the events
6 after day 7. For the two primary studies, that was
7 52 weeks.

8 DR. BICKET: Fifty-two weeks. Thank you.

9 DR. FUERST: Correct.

10 DR. KIM: Kee Kim, neurosurgeon. I am that
11 expert neurosurgeon that commented on that. I did
12 see, which is not surprising due to mechanism of
13 action, that there was higher incidence of patients
14 with modic change afterwards; and I also found that
15 there was back pain that was reported. But as
16 Dr. Fuerst has mentioned, this back pain tends to
17 be short-lived. And I didn't find a disturbing
18 pattern, which I was looking for very closely when
19 I did this review; could these patients end up with
20 chronic back pain that may lead to a more serious
21 problem later on in life? And I did not find that.
22 In some of the patients that I looked at were out

1 to 10 years, so I thought I had an adequate amount
2 of info to make that conclusion.

3 DR. BICKET: Thank you.

4 DR. BATEMAN: Dr. Kirkpatrick?

5 DR. KIRKPATRICK: Thank you. I just want to
6 comment that this presentation was excellent, and
7 it represents a huge amount of work. And I know we
8 all know that --

9 DR. STAUFFER: I thank you.

10 DR. KIRKPATRICK: -- but I just think it's
11 important to have everybody aware of it. I wonder
12 if you had specific measures of what you're calling
13 clinically significant differences and reference
14 those. That would be my first question.

15 DR. STAUFFER: The IMPAC [ph] working
16 group -- again, back to pain as an example, what's
17 clinically meaningful to a patient or clinically
18 significant -- on a 100-point scale, about a
19 10-point movement, and there's data out there to
20 support that. The IMPAC working group, that's
21 actually a collaboration between industry and the
22 FDA, has cited that as clinically meaningful. A

1 30 to 50 percent reduction is also considered
2 clinically meaningful as well.

3 So there's clinical significance and
4 clinically meaningful, and they're both the same
5 type of thing; on a 10-point scale, about 1-point
6 movement. On a 10-point scale, if you don't think
7 about VAS is 0 to 100, sometimes people think about
8 it on 10 points. Even a 1-point movement on a
9 10-point scale is clinically meaningful.

10 DR. KIRKPATRICK: Additionally for the ODI
11 as a secondary, what did you see --

12 DR. STAUFFER: Similar, in ODI, on a
13 100-point scale, 10 to 15 points is actually
14 considered clinically meaningful. I think we
15 actually have some data on 20 percent with our ODI
16 that I can bring up and show you. Let me just show
17 you this score real quick since you're asking about
18 ODI.

19 Here's our 20 percent improvement. My
20 apologies if it's not coming up. Here it is. This
21 is an important piece that we measured as a
22 secondary endpoint. It's important that these

1 patients not only get pain relief, which they do,
2 but somehow they can improve their function as
3 well, and this is why we looked at this 20-point
4 improvement. You can see the difference between
5 active and placebo. So again, putting that all
6 together, it's meaningful for patients to be
7 clinically meaningful.

8 DR. KIRKPATRICK: Thank you. And can you
9 specifically help me understand your sham?

10 DR. STAUFFER: The sham. That was three
11 different studies. The first study in Japan was
12 placebo injected right into the disc saline. Sham
13 is needle placed exactly the way you would do it if
14 you were going to do a placebo injection into the
15 disc, but the tip of the needle goes right to the
16 annulus but does not penetrate the annulus. So to
17 a patient, they don't know if they're getting an
18 active treatment or a placebo treatment, but it's
19 all done under fluoroscopy the exact same way.

20 DR. KIRKPATRICK: Thank you.

21 I am a surgeon, so I am totally immune
22 knowledge void; however, as an orthopedic surgeon,

1 we often come up with patients with metal
2 allergies, which are challenged. And then their
3 blood is taken, and their blood is challenged, and
4 we get a report as to if there's a response or not.
5 Has that kind of study been considered for
6 condoliase?

7 DR. STAUFFER: I'm not aware that we've
8 actually studied with metal allergy. Perhaps I
9 could ask --

10 DR. KIRKPATRICK: I'm not saying it's a
11 mental allergy. I'm just saying that concept.

12 DR. STAUFFER: So the --

13 DR. KIRKPATRICK: Does the second challenge
14 induce an immune response?

15 DR. STAUFFER: I'm an anesthesiologist as
16 well, so I may not have it all like you, but I'll
17 give it to Dr. Martire to answer that question.

18 DR. MARTIRE: Diane Martire. I'm an
19 internist, so I can make a little bit of an attack
20 on that. We did studies early in the clinical
21 trials looking at antidrug antibody levels, and
22 there was no correlation with the occurrence of

1 hypersensitivity events. So the antibodies bounced
2 around. We looked at collective IgM, IgA, all of
3 them. We have not done a study measuring
4 specifically antibodies in the postmarketing.

5 DR. KIRKPATRICK: Thank you.

6 There's a central reader, and then there was
7 a follow-up with positive responses. Were those
8 readers blinded?

9 DR. STAUFFER: I will have Dr. Fuerst
10 address your question.

11 DR. FUERST: Tom Fuerst, radiology advisor.
12 Yes, the central readers were blinded to all
13 aspects of the patient condition, including the
14 site of their symptoms.

15 DR. KIRKPATRICK: Thank you.

16 Then, I think this is my final question, and
17 it follows up with what Milan asked. Your
18 document, page 46/figure 18 seems, to be in
19 conflict with something I heard, so I'd like to
20 pull that up if possible.

21 DR. STAUFFER: Okay.

22 DR. KIRKPATRICK: And this centers around if

1 we inject the condoliase in the center of the disc,
2 how does it get to or affect that that's already
3 herniated out? With a secondary question, I heard
4 that if the annulus was disrupted, that was not an
5 indication, so I'm getting confusing things. And
6 you see on your figure, that annulus in the upper
7 left is clearly penetrated by the nucleus. It's
8 not contained anymore.

9 DR. STAUFFER: Dr. Fuerst?

10 DR. FUERST: Yes. Tom Fuerst, radiology
11 advisor. Sorry for any confusion. So again, the
12 selection of patients for treatment in the clinical
13 trials included those with and without fissure.
14 The injection of the condoliase into the disc does
15 distribute within the nucleus and would be imbibed
16 by the herniation. We did exclude, however,
17 herniations that were sequestered or free fragments
18 because there would be no communication or
19 connection necessarily with those free fragments.

20 DR. KIRKPATRICK: And just out of curiosity,
21 when you had patients that had condoliase and went
22 on to surgery, were you able to get the specimens

1 from surgery to determine whether the herniated
2 disc indeed had normal proteoglycans or had
3 condoliase present?

4 DR. FUERST: There were no specimens
5 collected in the context of the clinical trials.

6 DR. BATEMAN: Dr. Jowza?

7 DR. JOWZA: Thank you. Maryam Jowza from
8 University of North Carolina. I have a question
9 for Dr. Fuerst; actually, it's stacked kind of
10 questions. When we're talking about the modic
11 changes that you found, are these at adjacent
12 levels or is it anywhere in the lumbar spine?

13 DR. FUERST: Yes. Tom Fuerst, radiology
14 advisor. We only looked at the vertebral bodies
15 adjacent to the treated level. We didn't look at
16 the next vertebral bodies.

17 DR. JOWZA: Then, can you comment on
18 presence or absence of modic changes in the
19 patients who received the placebo treatment and
20 sham? Were there changes where -- I guess what I'm
21 really asking is, any findings in the patients who
22 actually had a needle inserted into the disc and

1 received whatever, non- --

2 DR. FUERST: Saline.

3 DR. JOWZA: -- saline, yes.

4 DR. FUERST: Yes. The volume injected was
5 1 mL, but it is correct, in the placebo group,
6 where there was an actual penetration of the disc
7 and injection of volume, we did see a slightly
8 higher frequency of modic changes in the Japanese
9 group compared to the sham group, but there was no
10 penetration of the disc and no injection of the
11 volume into the disc.

12 DR. JOWZA: But still less than in the
13 active treatment.

14 DR. FUERST: That's correct, still less than
15 the active treatment.

16 DR. JOWZA: And then my final question is,
17 can you comment on types of herniations that
18 possibly the patients responded more central,
19 paracentral, neuroforaminal location-wise? Did you
20 specify or did you look at that?

21 DR. FUERST: Yes. We were looking at
22 posterior lateral herniations that were paracentral

1 essentially. Extraforaminal herniations were
2 excluded. And again, we were looking for that
3 impingement of the nerve root, so they necessarily
4 had to be near or in the frame in our central area.

5 DR. JOWZA: Then, did you exclude patients
6 who specifically also had neuroforaminal stenosis,
7 like the bony stenosis at that same level?

8 DR. FUERST: That's correct.

9 DR. JOWZA: You did.

10 DR. FUERST: The impingement of the nerve
11 root needed to be only due to the lumbar disc
12 herniation. Any bony stenosis or impingement of
13 the nerve root was excluded because those patients
14 may not respond to treatment.

15 DR. JOWZA: Thank you. That's all.

16 DR. BATEMAN: Dr. Schiff?

17 DR. SCHIFF: Yes. Thank you. If I could
18 ask us to take another look at that figure 32 that
19 Dr. Stojanovic had asked us about in terms of the
20 age stratification on the forest plots, in the
21 Japanese study, you had a younger age group, you
22 had a predominant difference of heavy laborers

1 compared to the U.S. study, and you had people with
2 a lower BMI. If I look at the most significant age
3 stratification, it indeed looks like you might need
4 to restrict to people between 30 and 40 years of
5 age. That seems important.

6 DR. STAUFFER: I'm going to have Ben Vaughn
7 speak to your question.

8 MR. VAUGHN: Ben Vaughn, statistics. First
9 of all, a point of clarification, it's not really
10 stratification; this is just the sample that we got
11 with our inclusion/exclusion criteria. And yes,
12 there are fewer subjects in these upper age ranges,
13 which reflect just the demographics of the
14 condition. I think we do have the distribution on
15 the ages overall, but, in general, I'll let
16 Dr. Stauffer speak to this.

17 In general, you will have subjects in that
18 tighter age range with fewer subjects in the
19 extremes over 60 to 65, but it's potentially a
20 small sample size, random noise, and it's difficult
21 to interpret whether the variability that we're
22 seeing across these age ranges is a true difference

1 or it's just noise from the sample that we got.

2 Joe, can you speak to the demographics of
3 the age?

4 DR. STAUFFER: Sure.

5 As you can see by the point estimates,
6 there's clearly a distribution there between ages.
7 We see that in both the Japanese study and the U.S.
8 study as well. It's important to recognize these
9 are patients who are failing therapy. They've
10 failed a number of treatments, a number of oral
11 medications. This drug can work for some patients
12 even within this age distribution; however, our
13 best response is, in that age range, I think you
14 had mentioned the 30 to 50 category, that's where
15 we're getting the best response.

16 DR. SCHIFF: The other question is for both
17 your radiology and your neurosurgical expertise.
18 We've had several questions raised about the
19 integrity of the annulus. If I wanted to test
20 whether my material is going to be retained within
21 the disc or not, I could use a radiological marker
22 under fluoro and ask if it leaks out. It's not

1 even, I think, as much a tear in the annulus as
2 will your material immediately come into contact
3 with extra disc structures -- the root, facets,
4 et cetera -- and distribute these biological
5 effects well beyond your intended target.

6 DR. STAUFFER: I'll have Dr. Fuerst answer
7 your question.

8 DR. FUERST: Yes. Tom Fuerst. It's
9 correct. One could inject contrast into the disc
10 to understand the integrity of the annulus and the
11 potential distribution of condoliase. We don't
12 have experience about that with condoliase. I
13 know, speaking about another agent that performed
14 chemonucleolysis, the chymopapain, that was a
15 technique that was often used, but the extrusion of
16 dye outside of the disc was not a contraindication
17 for the use of that drug, and we don't believe
18 that's a contraindication for the use of condoliase
19 as well because the off-tissue target effects are
20 quite limited, and we have nonclinical data that
21 supports them.

22 DR. STAUFFER: Just one more commentary,

1 Doctor, if you wouldn't mind. Our preclinical
2 scientists as well can discuss with you some of the
3 elements that you asked about.

4 Dr. Spehalski?

5 DR. SPEHALSKI: Yes. Hi. I'm Liz
6 Spehalski, nonclinical advisor to SKK. The best
7 data that we have is some nonclinical data where we
8 looked at local irritation studies in animals. We
9 did inject condoliase into the intervertebral disc,
10 as well as other spaces, where we looked at local
11 irritation by histology. In all of those studies,
12 we looked at subarachnoidal space, intramuscular,
13 et cetera, and we didn't actually see any
14 irritation, local irritation, by histology in any
15 of the tissues surrounding the disc, with the
16 exception of slight muscle irritation. So we do
17 believe that if there were to be condoliase leaked
18 out of the disc, that there would not be an adverse
19 effect for the patients.

20 DR. SCHIFF: I looked at your data. The
21 animal data that you injected into had normal
22 discs.

1 DR. SPEHALSKI: Yes.

2 DR. STAUFFER: Correct.

3 DR. SCHIFF: I'd love to hear from your
4 neurosurgeon regarding his thoughts also on
5 injecting an agent to see if your drug is going to
6 be retained in that intervertebral disc space.

7 DR. STAUFFER: Thank you.

8 Dr. Kim?

9 DR. KIM: Yes. I'm a neurosurgeon, so I
10 don't do intradiscal injection. I think that comes
11 into play when we do a discogram and is usually
12 done by interventional radiologists. So maybe --

13 DR. STAUFFER: Dr. Wasan.

14 DR. KIM: -- Dr. Wasan may want to speak to
15 that. But from my perspective, this trial was
16 conducted with material injected into the center of
17 the nucleus pulposus, and it is somewhat of a
18 distance away. I do see the concern regarding
19 untoward effect if that leaks out into the
20 subarachnoid space or extradural space; and based
21 on the preclinical data, I do not feel that's
22 something, at least as a clinician, that I'm

1 concerned about.

2 Also, a follow-up question that I was
3 wondering is whether that's going to lead to
4 difficulty with surgery, patients who had been
5 treated, and I have a lot of experience with
6 patients who had discogram. So if it's similar to
7 that, I would not expect any difficulty with
8 surgical intervention if those patients are
9 refractory to condoliase treatment.

10 DR. SCHIFF: Thank you very much.

11 A final question is, I'm concerned about the
12 decision to go from the placebo control to the sham
13 control trial. You went from what I think was a
14 very powerful design, where the only difference in
15 variable was the presence or absence of the drug.
16 You then went to a design which is confusing in the
17 documents. One says you injected into muscle.
18 You've described it today as injecting close to the
19 annulus itself, but in either case, the placebo
20 trial involved puncturing the annulus. It also
21 involved a 1 centimeter, or 1 cubic
22 millimeter -- not millimeter; it's been a long

1 day --

2 (Laughter.)

3 DR. STAUFFER: Milliliter.

4 DR. SCHIFF: -- a 1 milliliter injection
5 into a disc that's protruding and causing a pain
6 syndrome.

7 In the comparison of your placebo and sham
8 results, you've got a 3 to 4 times difference in
9 the placebo injections, causing both leg pain and
10 back pain compared to the sham controls. And if
11 you look at the difference, there's a huge placebo
12 effect here -- I'm using that term twice -- but if
13 you look at the comparison bar plots of the primary
14 result in pain improvement, I think it's 8 points
15 out of 100 --

16 DR. STAUFFER: That's correct.

17 DR. SCHIFF: -- difference in pain reduction
18 in the sham versus drug injected in the second U.S.
19 trial.

20 I'm concerned that you didn't control for
21 the single variable of your drug, and that the
22 maneuver to go to sham enabled you to avoid the

1 additional pain, which you've also documented from
2 puncturing the annulus, and from that increased
3 volume -- which we have an unfortunate experience
4 neurosurgically in seeing things such as minor
5 trauma to nerves at surgical procedures change pain
6 syndromes, and most of that experience is with the
7 trigeminal nerve. But I am concerned that the
8 increase in volume may have actually had a
9 beneficial effect by causing additional impingement
10 early on. So I'd love to hear some thoughts about
11 that in the U.S. trials.

12 DR. STAUFFER: So back to why did we go from
13 a placebo injection in the Japanese trial to a sham
14 injection, there's actually a discussion with the
15 FDA and a recommendation from the FDA, from a
16 clinical trials perspective, that even when you
17 inject drug, or anything, even saline, into a disc,
18 that can be disrupted in and of itself. There's
19 certainly infection and other local irritations you
20 can cause there. So what we really wanted to do
21 was to understand, if a patient thinks that they're
22 getting a treatment with this drug, either sham or

1 placebo, will they get an effect? So that's why
2 the decision was made to go from placebo to sham.
3 In terms of the pain response that patients get,
4 the reality is that this drug will be injected into
5 the nucleus, and to shrink the nucleus, the
6 mechanism of action is there.

7 So when you look at those two trials, the
8 Japanese and the American trial together, you get
9 about the same kind of ultimate placebo response.
10 There's a huge expectation bias in a trial like
11 this. You can imagine, these are patients who have
12 already suffered through NSAIDs, opioids,
13 et cetera, physical therapy, weight loss. They're
14 not doing well. They're not responsive or they're
15 failing. So we have an opportunity here for an
16 offering for these kinds of patients.

17 As Ben Vaughn described, we have a pretty
18 impressive treatment effect with these patients at
19 30 and 50 percent cuts, whether they got a placebo
20 or whether they got the sham. So from what we can
21 see and what we think, there's a benefit there to
22 these patients with reducing the amount of risk

1 during a clinical trial, and that's, again, back to
2 why the decision was made.

3 DR. SCHIFF: Thank you.

4 DR. BATEMAN: I have a question for
5 Dr. Stauffer. So, the proposed indication includes
6 evidence of nerve impingement and one of the key
7 ways in which the second U.S. trial was different
8 from the first --

9 DR. STAUFFER: Correct.

10 DR. BATEMAN: -- which was a negative trial.
11 But there were two other really important inclusion
12 criteria that were different between the second
13 U.S. trial and the first, chronic low back pain of
14 greater than a year and excluding patients on
15 chronic opioids.

16 So I'm wondering if there's been thought of
17 including those other two inclusion criteria as
18 part of the indication. In other words, it would
19 require both evidence of nerve impingement but also
20 be not indicated for patients with prolonged
21 chronic back pain or on chronic opioids to align
22 the indication with the trial that showed benefit.

1 DR. STAUFFER: I'll come back to your
2 question. Dr. Kim wants to make a comment, but
3 I'll make sure I come back to answer your specific
4 question.

5 DR. KIM: Kee Kim, neurosurgeon. I wonder
6 if I can provide a clinical perspective because I
7 see these patients all the time in my clinic. A
8 lot of these patients have history of chronic lower
9 back pain, sometimes years, but what's really
10 bothering them is this disabling leg pain. They
11 say, "I just can't live like this. Can you do
12 something?" So if we exclude those patients who
13 had chronic lower back pain over a year, I feel
14 we're taking away potential treatment that would be
15 very beneficial to these patients.

16 DR. STAUFFER: I can say in your briefing
17 document -- I think this is what you're commenting
18 on, I think page 25 or 26 in table 5 or 4, I
19 believe -- bear in mind that even those patients
20 who had chronic low back pain greater than a year
21 for the failed study, those patients who were on
22 opioid therapy, they do get a treatment effect.

1 It's just blunted by the concomitant use of other
2 medications.

3 So to say that patients may not be
4 appropriate, we would acknowledge the MRI
5 impingement for sure must be part of the
6 indication; however, I can bring this up here,
7 patients that I'm bringing up on the screen here,
8 and you can still get a treatment effect that is
9 important for these patients. So whether they had
10 chronicle back pain, or whether they were on
11 opioids or other conditions, again, that effect is
12 blunted from the leg pain primary effect;
13 nevertheless, they do get a treatment effect. It
14 just wasn't as improved as those patients that
15 didn't have that.

16 DR. BATEMAN: It might be helpful, in
17 addition to providing the data from 1131 with or
18 without MRI impingement, to also see data in the
19 other two subgroups, with prolonged back pain and
20 who are on chronic opioids as well.

21 DR. STAUFFER: I'll have Ben Vaughn speak to
22 that exact point that you're bringing up here.

1 MR. VAUGHN: So again, we get into the small
2 sample size problem there, and in particular -- Ben
3 Vaughn, statistics -- the issue is that once we
4 subgroup on the people that do have impingement, we
5 get down to that 27 percent of the subjects. It
6 would be hard to interpret the univariate effect of
7 the chronic low back pain when you're including
8 people that don't have the impingement, so it's a
9 smaller and smaller cut.

10 Again, just pulling this up so you can see
11 those numbers, the last line is the cumulative.
12 What we get down to is that 20 and 5 subjects, but
13 you could approximate that by multiplying that
14 28 percent times the subjects with no opioid use,
15 so we might be down around, again, 20 to
16 30 subjects in each of those subgroups, so it would
17 be hard to interpret.

18 DR. BATEMAN: Dr. Schiff, you had a
19 follow-up?

20 DR. SCHIFF: Yes, on your question. The
21 U.S. trial required leg pain greater than back
22 pain, and I really appreciated that straight leg

1 raising was an important inclusion criteria here.
2 That and the radiological findings all fit with the
3 biological action of the drug. If this is approved
4 for low back pain in a broader spectrum of
5 individuals, it's not at all clear to me that
6 you're addressing what this drug does biologically.

7 DR. STAUFFER: I'll comment on your comment
8 and question. This drug is only indicated for leg
9 pain, not for back pain. We recognize and
10 understand these two things go hand to hand, but it
11 is clearly only indicated for leg pain, and it'll
12 be specified that way in the label.

13 DR. SCHIFF: Thank you.

14 DR. BATEMAN: We're going to take about five
15 more minutes for questions, and then we'll have a
16 break. So we're going to go to Mr. O'Brien next.

17 MR. O'BRIEN: Thank you. Joe O'Brien,
18 National Scoliosis Foundation. My primary question
19 was just asked by Dr. Bateman. It was rather clear
20 to me, when I was looking at the SKK packet that
21 was sent out, that when you looked at the
22 comparison between the negative study and the

1 positive study in the United States, on slides
2 30 and 33, you identified what those components
3 were, the primary components that were considered;
4 yet, when you look at that exclusion criteria, that
5 had changed. It was 4 pages versus 1 and a half
6 pages. I had the same basic question; how is that
7 going to be reflected in the labeling, in
8 particular the chronic back pain and no opioid use?

9 I did have a question regarding that in
10 terms of it was also concomitant. In the United
11 States, we see many patients that, primarily, if
12 they have any type of nerve pain, they give them
13 gabapentin. I didn't see any reference to
14 gabapentin throughout all of the documentation, and
15 I was curious. Just to have some clarification,
16 was gabapentin the primary use for these patients,
17 both in Japan and the United States? That's one
18 question that I had. The other question, which
19 I'll ask next, has to do with clinical
20 significance.

21 DR. STAUFFER: Okay. We do have a list of
22 the drugs of patients -- I think if I had the list

1 of all the concomitant medications; please, we have
2 that as a backup slide. And there's a lot in your
3 question there, so I want to make sure I get to
4 your points.

5 If I could see the list of concomitant
6 medications used. I think this one --

7 MR. O'BRIEN: As you're looking at that, I
8 was glad to see the data that you did show in terms
9 of the reduction of what the level of surgery was
10 in Japan with the 27[000], or 29,000, depending on
11 what number you're using. I think that real-world
12 evidence is very important. So, as I said, the
13 other case to me in mind is how many people were
14 actually able to reduce off opioids or gabapentin,
15 which is a significant issue with our patient
16 population.

17 DR. STAUFFER: Okay. You do see it in front
18 of you there, so that gives you a sense of the
19 drugs. I don't have gabapentin specifically. I
20 would imagine it would be here in the
21 antiepileptics part.

22 Regarding your question on clinical

1 significance, sir, I'd ask you to give me a moment,
2 so I can make sure I address it correctly.

3 MR. O'BRIEN: Yes. My basic question is, I
4 assume the patients were looking at a VAS. They
5 were just looking at the smiley faces or the sad
6 faces.

7 DR. STAUFFER: Yes.

8 MR. O'BRIEN: If I understand the data,
9 basically at baseline, the patients were at 7.5 on
10 the scale, and the reduction that we saw at
11 13 weeks was 7.5. Do I understand it correctly?
12 So they're going basically from an 8 to a 7 or a
13 7 to a 6 on the VAS scale?

14 DR. STAUFFER: No. On the parametric
15 analysis, all these patients start in moderate to
16 severe pain. That's true in both the Japanese
17 trial and the American trial, around 7, or 70 on a
18 100-point VAS scale. Over a period of 3 weeks,
19 4 weeks, 6 weeks, 13 weeks, these patients are
20 moving from moderate to severe pain, which is what
21 you would consider a 7. They're moving down to
22 about a 3 or so.

1 I think I can show you here. This might
2 give you some more color clarity to answer your
3 question. As you can see, in both trials, you have
4 significant improvement in pain, a pretty robust
5 reduction in pain in the first couple weeks. It
6 stays that way in both trials. It's on the active
7 arm. Of course, the placebo arm is there, but what
8 the patients are feeling is what is going to happen
9 in the real world in clinical practice.

10 So moving from moderate to severe pain down
11 to mild pain, that's quite significant for these
12 patients. That's quite meaningful for these
13 patients, given the fact that they've tried many
14 other drugs, potentially other therapies, epidural
15 steroid injections, et cetera. So that makes this
16 clinically meaningful just from the pain
17 perspective.

18 MR. O'BRIEN: Okay. No other questions.

19 DR. BATEMAN: Thank you.

20 I'm going to go to Dr. Kennedy. It's the
21 last question, and then we'll have a break.

22 DR. KENNEDY: Thank you. A couple of

1 comments and a question; well, one comment. I do
2 really commend this group for doing these studies.
3 This is a lot of work and really hard to do, and
4 it's well done.

5 Going back to the last slide that was just
6 shown, there were pretty wildly overlapping
7 confidence intervals in that. I completely agree
8 with the notion of 30 or 50 percent pain reduction
9 being very significant among patients. The
10 10-point reduction or 1 point on a 1 to 10 scale is
11 pretty debated in the literature, meaning there are
12 a lot of studies showing that you need
13 significantly more than that to even hit a minimal
14 clinical important difference. In my experience,
15 most of my patients going from a 6 to a 5 do not
16 feel that different.

17 My question, though, is really directed at
18 the best results do appear in the younger group,
19 which makes sense given the natural history of the
20 disease, and we know that a 20 year old has a very
21 different back than a 70 year old, both in natural
22 history and future costs, et cetera. Given there

1 are studies showing a larger decrement in disc
2 size, firm, and grade, et cetera in younger people,
3 and this by definition is the start of the
4 degenerative cascade in the spine where you start
5 with a disc degeneration followed by facet
6 arthrosis, stenosis, et cetera.

7 What is a time frame we would be looking at
8 to really assess that -- because it's probably not
9 something you would see early -- given the
10 specifically more robust results in the younger
11 group? Thank you.

12 DR. STAUFFER: Thank you. I'm going to have
13 Dr. Fuerst address your question.

14 DR. FUERST: Yes. Tom Fuerst, radiology
15 advisor. With regard to the disc height loss
16 itself in younger versus older, we really didn't
17 see any difference in terms of the magnitude of
18 disc height loss over time between the younger and
19 older individuals, and over the course of time, any
20 consequence of that loss of disc height may take
21 some time to manifest.

22 We do have information in the Japanese

1 patient population in the survey study that was
2 mentioned by Dr. Stauffer out to 5 years, and over
3 that time, we did not see any further loss of disc
4 height between 1 year and 5 years, and we didn't
5 see any change in the pattern of imaging findings,
6 and there were still pain benefits out that long.

7 DR. STAUFFER: Again, Dr. Kim for the
8 surgical commentary.

9 DR. KIM: Kee Kim, neurosurgeon. Yes, I
10 want to provide the clinical perspective.
11 Patients, even with the disc height loss, if
12 they're symptomatic, obviously, we want to note
13 whether that is playing a role in addition to other
14 factors that could be causing their pain, but the
15 reality is that most patients who come to my clinic
16 already have degenerative changes in their spine
17 with modic changes quite often.

18 Some of these patients, their pain is
19 totally unrelated to radiographic findings. There
20 are many patients that some studies have done, and
21 as we get older, of course, there's degenerate
22 changes that takes place in our body, including the

1 spine. You would expect disc height loss and modic
2 changes but, thankfully, most of these people are
3 asymptomatic. So what I really want to know is, is
4 there clinical significance, clinical consequence,
5 to these changes, and my conclusion, looking at the
6 imagings, and demographics, and others, I did not
7 see any association in terms of clinical
8 significance.

9 DR. KENNEDY: Thank you.

10 DR. BATEMAN: Okay. There are a few
11 outstanding questions, but we'll do those after the
12 FDA presentation, if we have time.

13 We'll now take a quick 10-minute break.
14 Panel members, please remember, there should be no
15 discussion of the meeting topic during the break
16 amongst yourselves or with any member of the
17 audience. We will resume at 11:15.

18 (Whereupon, at 11:02 a.m., a recess was
19 taken, and meeting resumed at 11:15 a.m.)

20 DR. BATEMAN: We'll get started again.

21 We will now proceed with the FDA's
22 presentations, starting with Dr. Sudhakaran.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA Presentation - Shaan Sudhakaran

DR. SUDHAKARAN: Good morning, everybody.

My name is Shaan Sudhakaran, and I'm a medical officer with the Division of Anesthesiology, Addiction Medicine, and Pain Medicine. The applicant has kindly presented the clinical trial data. My presentation consists of four topics.

I will start by discussing the clinical condition, mechanism of action, and the intricacies of the disc access procedure. I will cover efficacy next, focusing on how we interrogated and assessed the negative study. In the safety section, I will give an overview of safety, but we'll spend most of the time discussing the adverse events of special interest. Last, I will summarize and integrate our review to this point.

I will now discuss the basic anatomy of a lumbar disc herniation. On the left is an illustration of the lumbar spine. Between the vertebral bodies are the intervertebral discs, which can bulge posteriorly towards the neural structures. On the bottom-right illustration, you

1 can see an axial view of the intervertebral disc
2 with the gel-like nucleus pulposus in the center,
3 surrounded by the tougher annulus fibrosus. That
4 nucleus pulposus can herniate posteriorly towards
5 the nerve root and cause pain radiating from the
6 lower back into the leg.

7 The nucleus pulposus is a site of collagen
8 synthesis that contains proteoglycans that
9 facilitate physiologic water retention that result
10 in hydrostatic pressure to resist axial compression
11 of the lumbar spine. The nucleus pulposus can
12 herniate through a damaged annulus fibrosus and
13 compress a nerve root. This can cause irritation
14 and inflammation, and lead to pain, weakness,
15 numbness, and paresthesia in the distribution of
16 the affected nerve root.

17 Chondoliase is a chondroitin sulfate
18 degrading enzyme, which is the predominant
19 glycosaminoglycan in the nucleus pulposus. This
20 can result in reduced intradiscal pressure and
21 volume, and therefore reduced nerve root
22 compression and irritation. On the right is a

1 figure with the four common types of disc
2 herniation. Panel A is a normal disc; panel B is a
3 protrusion; panel C is an example of an extruded
4 disc where the nucleus pulposus has material
5 outside of the annulus fibrosus; and panel D is a
6 sequestration, where there is pulposus material
7 outside of the annulus fibrosus that is not
8 connected to the main herniation tissue.

9 Now, I will further discuss the procedure of
10 accessing the disc. The figure on the left is an
11 example of common patient positioning for an
12 intradiscal injection. The patient is positioned
13 prone with a pillow under the abdomen to reduce
14 fluorosis. Under sterile conditions, a fluoroscopy
15 or a C-arm will be rolled in for image guidance.
16 The needle trajectory is from the posterior lateral
17 approach.

18 On the right, you'll see a series of three
19 images detailing intradiscal access for
20 discography. The needle is initially advanced in
21 the oblique view. We can then see in panel A, the
22 needle is further advanced towards the nucleus

1 pulposus in the lateral view. In panel B, contrast
2 is administered, highlighting the nucleus pulposus
3 in the lateral view. Needle position and contrast
4 spread is also confirmed in the annular posterior
5 or AP view, as seen in panel C, thus confirming
6 needle placement into the nucleus pulposus in two
7 views.

8 There are multiple critical anatomic
9 structures in the vicinity of the needle trajectory
10 for disc access. This procedure can be associated
11 with serious risks, including discitis, durotomy,
12 nerve injury, and vascular injury. These
13 complications are rare when performed by an
14 experienced physician who is well versed in the
15 disc access procedure.

16 Disc access requires detailed anatomic
17 knowledge, fluoroscopic expertise, and meticulous
18 aseptic technique. There can be significant
19 variation with choice of technique, imaging, and
20 periprocedural medications for disc access
21 procedures. While some spine surgeons may perform
22 intradiscal injections, a significant number of

1 condoliase administrations would likely be
2 performed by interventional pain management
3 physicians or IPM. IPM has a wide array of
4 practice patterns with many specialties and
5 backgrounds actively performing interventional
6 spine procedures.

7 I will now discuss the efficacy data. The
8 applicant has completed three phase 3 studies, two
9 positive studies with Study 1031 in Japan and
10 Study 1133 in the U.S. Study 1131 in the U.S. was
11 negative. These studies were conducted and
12 analyzed in chronological order, Study 1031, 1131,
13 and then 1133. The applicant reviewed the results
14 from Study 1131 and made multiple modifications to
15 the key selection criteria for Study 1133. We
16 interrogated the data for all three studies with
17 the intent of determining the reason for failure of
18 Study 1131.

19 This is a high-level summary of the primary
20 efficacy endpoint for the three studies listed
21 chronologically from left to right. The primary
22 efficacy endpoint across the three studies was the

1 change in worst leg pain from baseline to week 13
2 after investigational drug administration as
3 assessed by a 100-millimeter visual analog scale.
4 The treatment effect size was the largest for the
5 Japanese study, followed by a more modest effect
6 size for Study 1133. As you can see, Study 1131
7 failed to meet its primary efficacy endpoint.

8 Briefly, the patients studied in the U.S.
9 were mostly Caucasian, middle-aged patients with a
10 significant rate of baseline medical conditions.
11 Patients in the Japanese phase 3 study, or
12 Study 1031, were younger, had a lower BMI, and a
13 higher proportion of patients working in heavy
14 labor when compared to the U.S. studies.

15 Here is the pain curve for Study 1031. At
16 the primary efficacy endpoint of 13 weeks, which is
17 boxed in red, there is clear separation between the
18 placebo curve in black and the 1.25-unit condoliase
19 curve in red. The study was positive with an
20 effect size of 15.2 millimeters and a p-value of
21 0.0011.

22 This is the pain curve for Study 1133. This

1 study was also positive, albeit with a smaller
2 treatment effect size of 7.5 millimeters and a
3 p-value of 0.026. This is the pain curve for
4 Study 1131. Study 1131 failed with no significant
5 difference observed between treatment arms at
6 week 13.

7 The applicant identified two primary reasons
8 for the failure of Study 1131. They argue that the
9 enrollment of patients without imaging-confirmed
10 nerve root impingement may have led to reduced
11 efficacy, which led to the applicant modifying
12 selection criteria for Study 1133. Additionally,
13 there was a higher baseline low back pain VAS score
14 in Study 1131.

15 We interrogated the three studies
16 independently. In the interest of brevity, I will
17 not go through the minute details of the strategy
18 of our interrogation, but I will note that the
19 critical differences were in the eligibility
20 criteria and the downstream effects on the
21 homogeneity of the population that were enrolled.

22 This is the first table of the study design

1 differences that we suspect may have led to the
2 failure of Study 1131. The left column contains
3 the selection criteria followed by the three
4 phase 3 studies. Study 1031 and Study 1131 did not
5 have specific enrollment criteria to ensure
6 patients had confirmed nerve root impingement on
7 MRI. Study 1133 explicitly ensured that only
8 patients with MRI-confirmed nerve root impingement
9 were enrolled.

10 This is a table of baseline characteristics
11 at enrollment. The two positive studies,
12 Study 1031 on the left and Study 1133 on the right,
13 enrolled patients with a higher rate of
14 MRI-confirmed nerve root impingement when compared
15 to the failed study. In Study 1131, only
16 27 percent of patients had MRI-confirmed nerve root
17 impingement.

18 We also investigated other differences in
19 eligibility criteria between Study 1131 and
20 Study 1133. Study 1131 allowed for enrollment of
21 patients with severe low back pain that was greater
22 than the patient's radicular leg pain. This was

1 exclusion criteria for Study 1133. Nearly
2 20 percent of the patients enrolled in Study 1131
3 had worse back pain than leg pain. Condoliase is
4 not intended to treat low back pain.

5 Study 1133 also specifically excluded
6 patients with back pain due to non-lumbar disc
7 herniation causes. The baseline VAS at enrollment
8 was significantly higher for the patients in the
9 negative study compared to the positive study.

10 Study 1131 also allowed for enrollment of patients
11 with comorbid chronic pain disorders that may have
12 confounded the diagnosis and efficacy assessments
13 of lumbar radicular pain. These included
14 peripheral neuropathy; CRPS; lower extremity
15 osteoarthritis; and other potential confounding
16 chronic pain disorders. These patients were
17 explicitly excluded in Study 1133.

18 Finally, Study 1131 allowed for enrollment
19 of patients with comorbid lumbar spine disease,
20 including spondyloarthritis, vertebral compression
21 fractures, and severe facet arthritis, which may
22 cause radicular pain independent of disc herniation

1 and limit the efficacy of condoliase. These
2 patients were excluded from Study 1133.

3 Numerous subgroup efficacy analyses based on
4 the differences in eligibility criteria, as well as
5 other demographic and clinical factors, protocol
6 deviations, and missing data, were performed to
7 interrogate Study 1131. Detailed statistical
8 analyses were unable to identify a specific cause
9 for Study 1131 failing to meet its primary efficacy
10 endpoint. All that can be concluded is that
11 Study 1133 made multiple changes to Study 1131
12 eligibility criteria and resulted in a positive
13 study. Given the proposed mechanism of action of
14 condoliase, these changes seem reasonable.
15 Confirmation of nerve root impingement is a
16 reasonable expectation to assure diagnostic
17 specificity and optimize potential benefit of the
18 drug.

19 In summary, the applicant has fulfilled the
20 requirement to show substantial evidence of
21 effectiveness with the two positive adequate and
22 well-controlled trials. The effect size ranges

1 from 7.5 millimeters to 15.2 millimeters, which is
2 consistent with many approved products for chronic
3 pain. Thus, we believe that condoliase is
4 effective for the proposed indication of treatment
5 of radicular leg pain associated with confirmed
6 nerve root impingement caused by lumbar disc
7 herniation in adults.

8 Now, I'm going to discuss our safety
9 findings for condoliase. We conducted the review
10 of safety, focusing on the major safety findings,
11 including deaths, SAEs, and AEs leading to
12 discontinuation. We also looked at adverse events
13 of special interest in light of the purported
14 mechanism of action of condoliase. Per routine, we
15 also assess common, non-serious adverse events.
16 Those are not the emphasis of this presentation.

17 This section will cover general safety. The
18 safety data consists of those acquired in clinical
19 studies and postmarketing safety data from Japan.
20 This is a summary of the clinical trial safety
21 database. There's been a total of 1,679 exposures
22 of condoliase, with 326 patients having at least

1 one year of follow-up.

2 Throughout the safety section, I will refer
3 to the primary safety pool, which, as you can see,
4 included the three phase 3 studies and Study 1021.
5 I have previously not discussed Study 1021. It was
6 a phase 2/3 randomized, double-blind,
7 placebo-controlled study investigating a 1.25, 2.5,
8 and 5-unit dose of condoliase. The applicant seeks
9 approval of the 1.25-unit dose.

10 After week 13, only SAEs and AEs related to
11 the disc and surrounding areas were recorded for
12 Studies 1021, 1031, and 1121. These adverse events
13 included leg pain, back pain, and other adverse
14 events related to neurological tests or the
15 stability of the intervertebral disc and its
16 surrounding tissues. All other controlled studies
17 had full safety monitoring data collected based on
18 the FDA feedback provided in 2013.

19 We also have over six years of postmarketing
20 data from Japan. Condoliase was approved in Japan
21 in August of 2018 for the treatment of lumbar disc
22 herniation, with an estimated exposure of around

1 29,000 patients in Japan as of June 2024. The
2 sources for postmarketing data include unsolicited
3 reports, which consist of spontaneous reports,
4 literature searches, and early postmarketing phase
5 vigilance, which consists of alerts sent to the
6 physician to promote adverse event reporting.

7 There are also solicited reports, which
8 include the General Drug Utilization Research
9 System, or GDURs, which is the short-term solicited
10 follow-up, and the Special Drug Utilization
11 Research System, or SDURs, which is the longer term
12 solicited follow-up, which can be up to 36 months
13 post-injection. Multiple periodic safety update
14 reports have been submitted to the Japanese
15 Pharmaceuticals and Medical Devices Agency, or
16 PMDA, and there have been no updates to the
17 Japanese package insert since its approval.

18 This table summarizes the major safety
19 findings. On the left data column is the 1.25-unit
20 dose of condoliase, while the data column on the
21 right is the placebo/sham pooled data. The
22 percentage of patients who died in the primary

1 safety pool was comparable between the active and
2 control arms. Because it was not possible to stop
3 dosing this product, the meaning of discontinuation
4 for adverse events is unclear. Regardless, the
5 rate of discontinuation was slightly higher in the
6 control arm, while the rate of SAEs, or serious
7 adverse events, was similar between the active and
8 control arm.

9 The applicant submitted tabular summaries in
10 four epochs, from injection to week 13, week 13 to
11 week 26, week 26 to week 52, and week 52 and
12 beyond. This table shows a summary of
13 treatment-emergent adverse events in the first
14 epoch up to 13 weeks after injection for the
15 primary safety pool. I have boxed as significant
16 imbalances, as they pertain to spine-related
17 adverse events. The adverse event to back pain was
18 reported more frequently in the active arm along
19 with MRI and X-ray imaging abnormalities of the
20 lumbar spine.

21 This is for epoch 2, week 13 to week 26. As
22 you can see, the frequency of adverse events is

1 overall reduced but still a higher rate of imaging
2 abnormalities compared to the pooled group on the
3 right. Of note, the placebo group is not
4 applicable, as Study 1021 and Study 1031 did not
5 have full safety monitoring beyond week 13.

6 This is for epoch 3, week 26 to week 52.
7 The imaging adverse events continue to be higher in
8 the active arm compared to the pooled control arm,
9 but overall reduce frequency when compared to that
10 first epoch.

11 This is a summary of all TEAEs above
12 2 percent for the 52-week period in entirety for
13 only the phase 3 studies for the 1.25-unit dose of
14 condoliase versus the pooled control group. There
15 are significant imbalances, as previously
16 discussed, for imaging and back pain. There is
17 also a small but higher rate of rash in the active
18 arm, which I will discuss later in the
19 hypersensitivity section.

20 Condoliase is a foreign protein administered
21 parenterally. Intrinsically, it poses a risk for
22 hypersensitivity and anaphylaxis. Additionally,

1 while condoliase can reduce disc herniation volume,
2 it also decreases disc volume overall, which can be
3 associated with functional and structural risks of
4 the lumbar spine. In reviewing this application,
5 we have coined a term to describe a group of
6 adverse events related to the mechanical effects of
7 condoliase on the disc called "spine-related
8 adverse events" or SRAEs. These include axial back
9 pain and imaging-related findings.

10 I will now discuss some of the measures used
11 to evaluate the spine-related adverse events. The
12 MRI evaluation looked at modic changes, which are
13 structural lesions of the vertebral endplates.
14 They are classified into three categories, as you
15 can see in the figures on the left.

16 In figure A, we have type 1 modic changes,
17 which indicate the presence of bone marrow edema
18 and inflammation. These changes have been
19 associated with chronic low back pain. In
20 figure B, we have type 2 modic changes, which
21 indicate the presence of marrow ischemia and fatty
22 infiltration. And in figure C, we have type 3

1 modic changes, which indicate the presence of
2 subchondral bone sclerosis.

3 We also investigated two measures of
4 radiographic instability of the lumbar spine. The
5 top-right figure is an example of how vertebral
6 translation is measured, with the patient
7 inflection and extension then subtracted to get the
8 distance of translation. The cutoff we used was
9 greater than or equal to 3 millimeters. The
10 bottom-right figure is an example of how posterior
11 angle opening is measured with the patient
12 inflection. The cutoff we used was greater than or
13 equal to 5 degrees.

14 A placebo injection was utilized in the
15 Japanese studies, 1031 and Study 1021, with
16 intradiscal puncture and injection of one
17 milliliter of saline as the control. In the U.S.
18 studies, a needle was advanced to the lumbar
19 paraspinal musculature. The disc was not
20 punctured, and no product was administered for the
21 sham procedure. Placebo is a better comparator
22 when investigating the drug rather than the drug

1 plus procedure, but sham may be a better comparator
2 when investigating the totality of the treatment
3 versus conservative care.

4 Discography, which includes disc puncture
5 and injection, has been associated with
6 acceleration of disc degeneration. The agency
7 advised the sponsor to use a sham control prior to
8 initiating Study 1131 to reduce the potential of
9 iatrogenic disc damage. It is important to analyze
10 the data in the context of what type of
11 intervention was performed for the control group.
12 The safety data show a higher rate of adverse
13 events in the patients randomized to placebo
14 instead of sham.

15 We viewed the disc height loss after a
16 lumbar discectomy as a model analogous to
17 condoliase to help guide safety monitoring of
18 spine-related adverse events. A decrease in disc
19 height is associated with decreased intradiscal
20 pressure and alteration and load transfer between
21 vertebral bodies. Disc height loss is often the
22 index event of degeneration of the lumbar disc in

1 facets, which are the connected posterior joints in
2 the vertebrae. Disc height loss can lead to
3 degenerative spondylosis and even segmental
4 instability.

5 This is the table of the onset of adverse
6 event reporting of back pain in the primary safety
7 pool. The adverse event of back pain was higher in
8 the active and placebo arm when compared to the
9 pooled control arm. The majority of back pain
10 adverse events declared soon after the injection;
11 in fact, the control arm had higher rates of back
12 pain reporting after 13 weeks.

13 This is a table of the severity of adverse
14 event reporting of back pain in the primary safety
15 pool. There's a higher rate of mild and moderate
16 back pain reporting in the active arm compared to
17 the pooled control data. For the adverse event of
18 severe back pain, it was relatively balanced
19 between the two arms.

20 We investigated multiple imaging parameters,
21 including X-ray measures of lumbar instability in
22 flexion and extension as previously discussed.

1 Each column has a different imaging measure, and
2 each row has a different time point of range
3 chronologically, consisting of the studies and the
4 primary safety pool.

5 On the left side of each column is the
6 percentage for the 1.25-unit dose of condoliase,
7 and on the right side of each column is a
8 percentage for the sham placebo pooled control arm.
9 The percentage of patients with vertebral posterior
10 angle flexion greater than or equal to 5 degrees
11 remains low and stable throughout each time point
12 and relatively balanced with the control arm.
13 Additionally, the percentage of patients with
14 vertebral body translation of greater than or equal
15 to 3 millimeters also remains low and stable
16 throughout each time point and relatively balanced
17 with the control arm.

18 This is a table of the disc height loss and
19 endplate-related changes in the spine. Similar to
20 my last slide, on the left side of each column is
21 the percentage for the 1.25-unit dose of
22 condoliase, and on the right side of each column is

1 a percentage for the sham/placebo pooled control
2 group.

3 The first imaging measure is the total disc
4 height loss as a percentage decrease from baseline.
5 The disc height loss begins within the first few
6 weeks after injection and plateaus around week 26.
7 There is an imbalance with a larger reduction in
8 disc height in the active arm compared to the
9 control. The second imaging measure on the right
10 is the percent of patients who had greater than or
11 equal to 30 percent disc height loss. Again, this
12 plateau is around week 26 and is more frequent in
13 the active arm.

14 This is a table of the progression of modic
15 changes. There were significantly more patients
16 who developed type 1 and type 2 modic changes in
17 the active arm compared to the pooled control.
18 There were no patients who developed type 3 changes
19 at these time points. While disc height loss
20 progression peaked around week 26, type 2 modic
21 changes appeared to continue to develop into the
22 52-week time point.

1 The data from the primary safety pool
2 demonstrates that condoliase is associated with a
3 higher rate of disc height loss, including severe
4 disc height loss, and progression of modic changes,
5 especially to type 1, and to a lesser extent,
6 type 2. The clinical significance of these changes
7 is not fully established in the literature.
8 Multiple reviews have shown an association between
9 modic changes and low back pain, but true causality
10 has been difficult to establish. We did see a
11 general trend towards less favorable treatment
12 effect sizes for VAS low back pain for patients who
13 developed type 1 modic changes at week 52.

14 We also looked at development of aberrancy
15 in bony architecture that may occur as sequelae of
16 disc height loss, including osteoarthritis;
17 retrolisthesis; spondylolisthesis; compression
18 fractures; and foraminal stenosis. The overall
19 rate of the spine-related adverse events was
20 comparable between the active and control arms at
21 all time points. Additionally, there was one case
22 of discitis in the condoliase group in the primary

1 safety pool, a frequency consistent with published
2 rates of adverse events associated with disc
3 access.

4 We also investigated lumbar surgery
5 requirements after treatment. This is a table of
6 the primary safety pool at all time points. The
7 rate of post-treatment surgical requirement at the
8 target level was slightly higher in the pooled
9 control arm, and even higher when looking at the
10 placebo-only group.

11 There were additionally two long-term
12 follow-up studies that investigated spine-related
13 adverse events. Study 10r2, which is in the first
14 column, followed 179 patients in the active and
15 control arms for an average of 2 years after
16 injection from Study 1031, which was the Japanese
17 phase 3 study, and 5 years after injection from
18 Study 1021, which was the Japanese Phase 2/3 study.
19 Study 10r3, which is on the column on the right,
20 only followed patients in the active arm of
21 Study 1031, but for an average of 10 and a half
22 years after injection. Both studies utilized

1 patient interviews and imaging assessments,
2 including lumbar X-ray and lumbar MRI.

3 The final lumbar surgery rate in Study 10r2
4 was 20.7 percent in the placebo arm and
5 10.8 percent in the active arm for the 1.25-unit
6 dose. After an average of 10.5 years
7 post-injection from Study 1031, the rate of lumbar
8 surgery was 13.5 percent for the 1.25-unit dose,
9 slightly higher than the rate in Study 10r2. While
10 the sample size is modest and Study 10r3 did not
11 follow up with a control arm, the long-term studies
12 also demonstrated that condoliase does not appear
13 to be associated with major progression of mean
14 disc height loss or radiographic measures of
15 instability.

16 Now, I'm going to discuss condoliase and its
17 association with hypersensitivity reactions.
18 Condoliase is purified from a Gram-negative rod and
19 represents a foreign protein. We obtained a
20 consult from the Division of Pulmonology, Allergy,
21 and Critical Care to assess hypersensitivity and
22 anaphylactic reactions.

1 This is a slide of the SMQ, or Standardized
2 MedDRA Query, of hypersensitivity for the primary
3 safety pool at all time points. As you can see,
4 the rate of hypersensitivity was higher in the
5 condoliase arm when compared to the pooled control
6 group. The most common hypersensitivity adverse
7 events that occurred at a higher rate compared to
8 the pooled control group was rash; urticaria;
9 asthma; conjunctivitis; contact dermatitis; and
10 pruritus.

11 We also investigated the onset of these
12 hypersensitivity reactions. Many of these
13 reactions occurred within the first week, but there
14 was a large number that occurred up to 13 weeks
15 post-injection. A potential mechanism may be due
16 to the poor vascularity of the nucleus pulposus,
17 which can result in the presumed residence time
18 post-injection being prolonged, and therefore,
19 potentially prolonging the duration of
20 hypersensitivity reactions.

21 We also looked at the severity of
22 hypersensitivity reactions. This is a table of the

1 SMQ of hypersensitivity for the primary safety pool
2 at all time points. The majority were mild to
3 moderate with only one severe case in the primary
4 safety pool. This severe case was for a toxic skin
5 eruption.

6 In summary, condoliase is associated with a
7 small increase in risk of mild to moderate
8 hypersensitivity-related adverse events. Most of
9 the hypersensitivity adverse events occurred within
10 the first few days to weeks, but some were delayed.
11 There were no cases of grade 3 or 4
12 hypersensitivity in the clinical trials. There
13 were no cases of anaphylaxis in the clinical
14 trials, but one case in the postmarketing data.
15 Condoliase is also associated with severe cutaneous
16 adverse reactions.

17 SCAR is a subset of hypersensitivity
18 reactions. SCARs are rare but potentially
19 life-threatening skin reactions often due to
20 hypersensitivity. SCARs are a risk with many
21 currently approved drugs, including
22 anticonvulsants, antibiotics, NSAIDs, and

1 allopurinol. There are five key diagnoses,
2 including drug reaction with eosinophilia and
3 systemic symptoms, or DRESS; Stevens-Johnson
4 syndrome; toxic epidermal necrolysis;
5 erythema multiforme; and acute generalized
6 exanthematous pustulosis.

7 This is a table of the number of cases of
8 severe cutaneous adverse reactions in the Japanese
9 postmarketing data for the system organ class of
10 immune and skin disorders. As a reminder, there
11 have been approximately 29,000 exposures as of
12 June 2024. In the first column is the number of
13 cases of SCAR, boxed in red, along with other
14 serious skin and immune reactions. There have been
15 5 cases of SCAR, including now 3 cases of acute
16 generalized exanthematous pustulosis; one case of
17 erythema multiforme; and one potential case of
18 Stevens Johnson syndrome.

19 Most of these cases declared within
20 1 to 2 days after injection, with a spreading rash
21 often as the first symptom. The rashes have spread
22 to cover a body surface area of over 75 percent in

1 some of these reactions. These patients were
2 hospitalized and suffered significant morbidity,
3 with some cases taking months to recover. Patients
4 often required prolonged hospitalization and
5 treatment with systemic and topical steroids, even
6 after discharge.

7 In nonclinical studies, the observed
8 endplate changes continued at the site of
9 administration one year after follow-up. The
10 nucleus pulposus is a poorly vascularized
11 structure, and may potentially play a significant
12 role in the prolonged duration of the severe
13 cutaneous adverse reactions.

14 Now, I have some comments about the
15 proceduralists and the clinical setting of
16 condoliase administration. Interventional pain
17 management is a highly specialized field with
18 physicians from many training backgrounds,
19 including anesthesiology; physical medicine and
20 rehabilitation; interventional radiology; and many
21 others.

22 There are currently no marketed intradiscal

1 drugs for radicular pain due to lumbar disc
2 herniation. Technically, chymopapain is still an
3 approved biologic, although it has not been
4 marketed since 2001. In the past, discography and
5 other disc access procedures like intradiscal
6 electrothermal therapy, or IDET, were commonly
7 performed.

8 These disc access procedures have seen
9 reduced utilization over the past few decades, and
10 this may be reflected in newer trainees who have
11 less experience with these procedures.

12 Additionally, disc access has many variables within
13 the technical aspect, including needle approach and
14 technique, imaging modality, and periprocedural
15 medication administration.

16 Disc access procedures can have significant
17 technical variability between physicians. They do
18 require a detailed knowledge of the anatomy and
19 significant procedural vigilance. As I previously
20 discussed, there are many factors which can affect
21 the benefit-risk profile of condoliase, including
22 diagnostic specificity. Some of this will be

1 mitigated by labeling, but there is still a concern
2 for off-label use, including repeat usage, which
3 can affect the risk for hypersensitivity;
4 administration to multiple discs, which can affect
5 the safety profile of spine-related adverse events,
6 especially if administered to adjacent discs; and
7 injection outside of the lumbar spine into the
8 cervical and thoracic spine for which the spine
9 risk has not been assessed at this time.

10 Another clinical consideration is the
11 setting where condoliase will be injected. There's
12 a large variety of clinical settings where
13 interventional pain physicians practice, including
14 outpatient clinics, ambulatory surgical centers,
15 and hospitals. Higher acuity settings will have
16 more support staff available to manage reactions,
17 including anaphylaxis, while small outpatient
18 clinics may not be equipped to handle these severe
19 reactions. Available resuscitation equipment and
20 rescue drugs, including defibrillator and airway
21 equipment, may also be variable depending on the
22 setting. Crash cart availability and contents,

1 including resuscitation medications like
2 epinephrine, antihistamines, and systemic steroids,
3 may also vary.

4 Now, I will provide a summary of our
5 analysis. The applicant has fulfilled the
6 requirement for demonstrating substantial evidence
7 of effectiveness with Study 1133 and Study 1031.
8 Study 1133 modified patient selection criteria from
9 failed Study 1131 to explicitly exclude patients
10 with confounding comorbidities and explicitly
11 enrolled patients with MRI-proven nerve root
12 impingement. Because the presence of nerve root
13 impingement is important for condoliase's proposed
14 mechanism of pain relief, and its absence may
15 indicate an alternate source for the patient's
16 pain, MRI-proven nerve root impingement would be
17 clinically justifiable for consideration of the
18 benefit-risk for condoliase.

19 Condoliase is associated with spine-related
20 adverse events. These risks are: one, significant
21 reduction in disc volume and measured disc height;
22 two, risk of increased back pain exacerbation with

1 most exacerbations reporting early; and three,
2 modic changes which persist over longer term
3 follow-up. The limited long-term, follow-up data
4 for condoliase do not show significant progression
5 of mean disc height loss or measures of
6 radiographic instability like posterior angle
7 opening or vertebral translation when compared to
8 the control.

9 Condoliase has a clear risk of
10 hypersensitivity, most commonly manifested as mild
11 or moderate reactions. There were no cases of
12 anaphylaxis in the clinical trials database, but
13 one case of confirmed anaphylaxis in postmarketing.
14 Although rare, we also know condoliase is
15 associated with severe skin reactions known as
16 SCARs, which can be life threatening.

17 Finally, I will summarize the complex
18 clinical factors associated with potential approval
19 of condoliase. Ensuring safety and efficacy in
20 treatment with condoliase will depend on many
21 factors, including patient selection; procedural
22 skills; the ability to diagnose and manage

1 hypersensitivity reactions; and finally, the
2 ability to diagnose reactions that may require
3 hospitalization, like SCAR.

4 The ability to manage these will also depend
5 on support staff and resuscitation equipment and
6 medications in the setting of a patient with
7 symptoms of anaphylaxis. Ensuring adequate
8 safeguards in the clinical setting would be vital
9 to optimizing the benefit-risk profile of
10 condoliase. That is the end of my presentation.
11 Thank you.

12 **Clarifying Questions for FDA**

13 DR. BATEMAN: Okay. Thank you.

14 We will now take clarifying questions for
15 the FDA. When acknowledged, please remember to
16 state your name for the record before you speak and
17 direct your question to a specific presenter, if
18 you can. If you wish for a specific slide to be
19 displayed, please let us know the slide number, if
20 possible. Finally, it will be helpful for you to
21 acknowledge the end of your question with a thank
22 you and the end of your follow-up question with,

1 "That is all for my questions," so we can move
2 forward to the next panel member.

3 Are there any clarifying questions for the
4 presenter?

5 Dr. Schiff?

6 DR. SCHIFF: Yes. Thanks very much,
7 Dr. Sudhakaran, and just a general comment. I was
8 very impressed by the FDA's analysis of what's a
9 complex situation. If I could ask to take another
10 look at slide 12 in your presentation, this is the
11 primary endpoint showing a modest but statistically
12 significant difference in the pain scoring at
13 13 weeks.

14 Are there any subgroups large enough to be
15 meaningful that continue this for at least a year?
16 I'm concerned that a temporary reduction of pain
17 for benign disease in relatively young people is a
18 temporary reduction.

19 DR. DOSHI: I'll be fielding the questions
20 for the FDA and sending it to the appropriate
21 respondent but, Dr. Sudhakaran, I believe you can
22 answer this question.

1 DR. SUDHAKARAN: Yes. We've essentially
2 only verified this at the primary efficacy
3 endpoint, so the data for the 52 weeks is
4 supportive, I would say, but it's not fully
5 confirmed, I would say.

6 DR. SCHIFF: The second question, I haven't
7 seen in any of the material, or in our discussion
8 here, any discussion of the immune response
9 long term to immunizing against a protein made by
10 proteus vulgaris. For those of us on the clinical
11 side, we mostly have some bad experiences with this
12 organism when it causes difficult to treat and
13 severe infections.

14 There's also literature on its normal
15 presence in the gut and the implications that
16 that's related to everything from gastroenteritis
17 to Crohn's disease. I don't see, so far, evidence
18 that it's made by other bacteria, but it likely is.
19 Nevertheless, it's mostly associated with proteus
20 and that particular species.

21 Is this something that needs to be of
22 concern long term? It could be. We've just

1 immunized a lot of people against a nasty
2 infection. That would be good. We also could have
3 antibody-dependent enhancement, which would not be
4 good during such infections, and of course the gut
5 microbiome mystifies all of us. If you have any
6 thoughts or suggestions about how that relates to
7 the FDA, potentially immunizing against a
8 particular bacterial protein, love to hear it.
9 Thank you.

10 DR. DOSHI: Yes. I'll ask Dr. Paterniti
11 from the Division of Pulmonology, Allergy, and
12 Critical Care to talk about immunogenicity and our
13 concerns related to that.

14 DR. PATERNITI: Hello. My name is Miya
15 Paterniti. I'm the Clinical Team Leader in the
16 Division of Pulmonology, Allergy, and Critical
17 Care. I think it's an excellent question. We did
18 look at the population in terms of risks, if we
19 could figure out if there were patients with
20 histories that put them at higher risk. We did
21 not.

22 I do want to note, apart from the question

1 you asked, this product also does contain PEG, so
2 in the product label it would obviously state that,
3 and it would be contraindicated for patients who
4 had a known allergy to, obviously, the product or
5 to any of the excipients, which would include PEG.
6 So that would be communicated to prescribers and
7 patients. But in terms of the risk for protease,
8 we didn't see anything specific in the patient
9 populations that were included in the clinical
10 trials.

11 DR. BATEMAN: I'd like to ask a question, if
12 you could pull up slide 54. While that's coming
13 up, this is the slide that shows the frequency of
14 the hypersensitivity reactions. So my question is,
15 what is the confidence that there's comprehensive
16 capture of the severe cutaneous adverse reactions,
17 the SCARs? There are 29,000 exposures. How
18 confident are we that we have full capture of SCARs
19 in that population?

20 DR. DOSHI: Yes. Dr. Sudhakaran can speak
21 to that.

22 DR. SUDHAKARAN: I'll argue that the data

1 that we have is based on the Japanese postmarketing
2 data, and there's a fraction that are solicited
3 versus unsolicited. The solicited data I would
4 argue is probably a little better because it's
5 actively following up with these patients, and the
6 initial follow-up is, I would say, more active than
7 the longer term follow-up.

8 So your question of do we know for sure that
9 we've caught all these SCARs, I would say the
10 answer is no. The rate is relatively infrequent
11 based on the data that we have, but we can't be
12 certain that we missed one case based on this
13 reporting.

14 DR. BATEMAN: Okay. I guess a conservative
15 estimate would be, then, that 1 in 6,000
16 administrations result in a SCAR; and these are
17 very serious reactions, obviously, as you pointed
18 out. Where does that observed rate fall relative
19 to other drugs that have these types of
20 hypersensitivity reactions, lamotrigine or --

21 DR. DOSHI: Yes. It's a difficult question
22 to answer, but going back and looking at prior

1 data, this is comparable to other approved drugs.
2 I don't know, Dr. Sudhakaran, if you had any
3 additional comments.

4 DR. SUDHAKARAN: Yes. We saw quite a range
5 of frequencies. I know allopurinol was much more
6 common. I think it's one of the most common
7 offenders for SCAR. Some of the other ones like
8 lamotrigine and these other drugs may be a little
9 bit less frequent. So I would argue it is of
10 significant concern with these other drugs, so I
11 would argue that it is of significant concern for
12 this drug.

13 DR. BATEMAN: So the frequency is in the
14 same range as allopurinol and lamotrigine.

15 DR. SUDHAKARAN: Allopurinol was on the high
16 end. I would put it somewhere in between, yes.

17 DR. BATEMAN: Dr. Stojanovic?

18 DR. STOJANOVIC: I second Dr. Schiff. It's
19 excellent and answers the study. Thank you. I
20 have several questions. One is technical, the
21 first question. Thank you for pointing that
22 injection in disc is a complex procedure, and it's

1 been out of favor because there's this disc program
2 and several hundred of disc and intradiscal
3 procedures.

4 Some things to be thought about are, if you
5 have disc herniation that doesn't have radial
6 tears -- so it doesn't have a tear, which is a
7 perfect candidate -- it's a little surprising that
8 even those 29,000 people haven't been reported of
9 acute increase of radicular pain with putting 1 cc
10 of any kind of volume in disc. It's very unusual
11 that there has never.

12 So physicians who will be performing this
13 procedure, they should be informing patients that
14 the pain might get worse. Some of these people
15 might have to go to surgery right away because the
16 disc herniation might worsen. So that's my first
17 question, that something should be taken into
18 account.

19 DR. DOSHI: I'm sorry, Dr. Stojanovic. What
20 was the question; whether that should be taken into
21 account, the potential for increased --

22 DR. STOJANOVIC: Yes. It should be looked

1 at, and it's surprising that we didn't hear that
2 data.

3 DR. DOSHI: Yes. I would defer to the
4 applicant regarding whether they looked at that
5 particular data acutely, but what I will say is
6 that it's certainly something we can take into
7 consideration as we're making our decisions.

8 DR. STOJANOVIC: The second --

9 DR. BATEMAN: Actually, can we just limit to
10 one question since we only have 10 minutes?

11 DR. STOJANOVIC: Yes.

12 DR. BATEMAN: Okay. Dr. Sprintz?

13 DR. SPRINTZ: Hi. Michael Sprintz. I just
14 had a quick question. On slide 58, we talked about
15 a potential for a high rate of off-label usage.
16 And one of the other things that we didn't talk
17 about specifically was the use of contrast, and I
18 can imagine that there are going to be some
19 practitioners who are going to consider either
20 mixing with contrast or using any contrast. I know
21 with chymopapain, there was an increased risk of
22 problems when mixed with contrast. So I was

1 curious if there's any contraindication for this
2 product with contrast dye.

3 DR. DOSHI: Dr. Sudhakaran can answer that.

4 DR. SUDHAKARAN: Yes. Condoliase is not
5 intended to be mixed with another drug. You
6 shouldn't be mixing with contrast. You shouldn't
7 be mixing with antibiotics. I know some people do
8 intradiscal antibiotics.

9 DR. BATEMAN: Dr. Reich?

10 DR. REICH: Jeff Reich, Sparian; a couple
11 questions. Do I only get one?

12 (Dr. Bateman gestures yes.)

13 DR. REICH: Okay.

14 (Laughter.)

15 DR. REICH: Let me go for the main one. If
16 you could put up slide 12, and then 13. That's
17 1133, and then go to 1131.

18 The thing that pops out at me is the error
19 bars and the huge variability between those
20 studies. Usually what I think of when I see that
21 is study conduct, variability from study conduct.
22 So maybe you can comment on how you interrogated

1 1131 with respect to sites, variability by sites,
2 number of sites, and so forth.

3 DR. DOSHI: Yes. We'll have Dr. Wang answer
4 that question.

5 DR. WANG: This is Sue-Jane Wang from the
6 FDA. The slide here might be a little misleading
7 because this slide here is only looking at the mean
8 worst leg pain, whereas the other two figures that
9 you saw, they're showing the change from baseline
10 at week 13, at each of the visits. So, if we
11 convert this figure to an equivalent of the other
12 two figures, you don't really see that huge
13 variability that you're seeing here. I don't know
14 if it's possible. We do prepare a slide that we
15 can share with you, but it's probably not required
16 unless everybody wanted to see that slide.

17 DR. REICH: Okay. Because in table 21, in
18 the in the sponsor's presentation, I think you do
19 have the comparable least-squared mean change from
20 baseline, and the 95 percent confidence intervals
21 look to be very comparable to what you're
22 demonstrating here, and much wider than what we've

1 seen in 1031 or 1133.

2 DR. WANG: If we can go to the previous
3 slide, you see here this is the least square mean
4 change from baseline. That's the Y-axis. You're
5 comparing the change from baseline.

6 DR. REICH: No. I'm also thinking of
7 table 21 in the sponsor's briefing book --.

8 DR. WANG: I don't have the sponsor's table
9 to rely on.

10 DR. REICH: -- and the confidence intervals;
11 and the least-squared change from baseline --

12 DR. WANG: Yes.

13 DR. REICH: -- also look variable.

14 DR. WANG: For Study 1131 --

15 DR. REICH: Correct.

16 DR. SUDHAKARAN: Which page is that?

17 DR. REICH: Sixty-seven.

18 DR. SUDHAKARAN: Oh, 67.

19 DR. DOSHI: So as we're bringing that up and
20 looking, just to speak directly to your question
21 about study conduct, we did do several analyses
22 looking at protocol deviations, missingness of

1 data, making sure that the study eligibility
2 criteria, the inclusion/exclusion criteria, that
3 those were all examined in our analyses for both
4 1131 and 1133, and we didn't find any evidence
5 suggesting --

6 DR. REICH: And concordance by site?

7 DR. DOSHI: I don't have that information.

8 Dr. Wang, we looked at concordance by site
9 specifically?

10 DR. WANG: Not really. We didn't further
11 look into that, but the sponsor might have that
12 particular data by site. But we just want to point
13 out that the kind of variability in Study 1131 is
14 actually not that different from the other two
15 studies, and that information is included in the
16 eventual FDA review that will go public.

17 So in other words, the variability concern
18 that you see in that slide is not an accurate
19 representation because they're only looking at the
20 endpoint data rather than the change from baseline.
21 That difference is what the primary efficacy
22 endpoint is. I don't know how I can project my

1 particular slide to everybody that can see clearly
2 that's not a concern.

3 DR. REICH: Okay, and I won't belabor it.
4 Forget that slide, then. Just on table 21, it
5 seems to be an apples to apples.

6 DR. WANG: Can that slide be pulled up?

7 DR. BATEMAN: We can have the sponsor pull
8 that up, maybe.

9 DR. REICH: We can table it for later, if
10 you'd like, sure.

11 DR. BATEMAN: Okay. Let's do that.

12 Oh. Do you have it?

13 DR. STAUFFER: We just pulled it up there.
14 We'll bring it up again.

15 DR. REICH: That is the least-squared mean
16 change from baseline; right?

17 DR. WANG: Right. So, if you look at the
18 least-squared mean change from baseline, that
19 variability in the condoliase arm is 2.11 versus
20 3.36. That's the standard error.

21 DR. REICH: Yes.

22 DR. WANG: That captured that variability,

1 yes. So the question is?

2 DR. REICH: And the 95 percent confidence
3 intervals --

4 DR. WANG: The 95 percent confidence
5 interval of the difference between the two study
6 arms --

7 DR. REICH: -- compared to 1031 and 1133 --

8 DR. WANG: Right.

9 DR. REICH: -- looks to be much wider. So
10 the question is about the variability in 1131.

11 DR. WANG: We have all those actual numbers.
12 We do not see that it has a much larger
13 variability. We did not see that.

14 DR. REICH: Okay.

15 DR. BATEMAN: So maybe this would be a good
16 point for the sponsor to pull up the data that we
17 had requested prior to the break on the subgroup of
18 patients that had MRI-confirmed impingement in
19 1131.

20 DR. STAUFFER: We'll have Ben Vaughn speak
21 to you on that one.

22 MR. VAUGHN: We do not have that quite

1 ready, and we will --

2 DR. BATEMAN: After lunch.

3 MR. VAUGHN: -- pull it up after lunch.

4 DR. BATEMAN: Okay. Perfect.

5 MR. VAUGHN: But, yes, that would be a good
6 point to see this, and see it relative.

7 DR. BATEMAN: Yes.

8 MR. VAUGHN: Exactly.

9 DR. BATEMAN: Okay. We'll do it after
10 lunch.

11 Dr. Kennedy?

12 DR. KENNEDY: Like others, I want to commend
13 the FDA on a spectacular presentation and
14 condensing a lot of really hard data. My
15 question -- and I apologize if I missed this
16 detail -- is, the people with flares and low back
17 pain were categorized into mild, moderate, and
18 severe. Do we have a VAS number for that to allow
19 for apples to apples comparison? What thresholds
20 were used to define mild, moderate, and severe on a
21 VAS scale? Thank you.

22 DR. SUDHAKARAN: This is Dr. Sudhakaran.

1 Back pain adverse event reporting was based on the
2 CTCAE version for adverse event reporting. It was
3 not based on a VAS score; it was rather based on
4 ADL functionality limitations. So mild was mild
5 low back pain; moderate was certain ADLs limited;
6 and then severe, or grade 3 or grade 4, was a
7 significant amount of ADL limitation.

8 DR. KENNEDY: So just to make sure I'm
9 clear, our primary outcomes in the leg pain
10 reduction were a 7.5 VAS scale reduction, which I
11 think most would count as mild. We don't have a
12 true apples to apples comparison for that group
13 that had an increase in low back pain; correct?

14 DR. SUDHAKARAN: Sorry. Are you asking for
15 the mean back pain VAS score for that group that
16 had adverse events, or --

17 DR. KENNEDY: That may work for it. I was
18 just trying to figure out -- what I'm trying to get
19 at is are we substituting -- I get people, and
20 sometimes their leg pain will be better and their
21 back pain is worse, and their overall not doing
22 better. And that's what I was trying to figure

1 out, how is that relating to this, and just had a
2 hard time with the mild, moderate, and severe.

3 DR. SUDHAKARAN: Yes. I'm not sure if the
4 data exist for that split but, in general, the
5 point estimates did favor a reduction in low back
6 pain at week 52.

7 DR. KENNEDY: Okay. Thank you. That's very
8 helpful.

9 DR. BATEMAN: Okay. Thank you.

10 We will now break for lunch. We will
11 reconvene again in this room at 1:15 pm Eastern
12 Time. Please take any personal belongings that you
13 may have with you at this time. Panel members,
14 please remember there should be no chatting or
15 discussion of the meeting topics with the other
16 panel members during the lunch break.

17 Additionally, you should plan to reconvene at
18 around 1:10 to ensure you're seated before we
19 reconvene at 1:15. Thank you.

20 (Whereupon, at 12:15 p.m., a lunch recess was
21 taken, and meeting resumed at 1:15 p.m.)

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(1:15 p.m.)

Open Public Hearing

DR. BATEMAN: We'll now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session at the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the applicant. For example, the financial information may include the applicant's payment of your travel, lodging, or other expenses in connection with your participation in the meeting. Likewise, FDA encourages you, at the beginning of your statement, to advise the

1 committee if you do not have any such financial
2 relationships. If you choose not to address this
3 issue of financial relationships at the beginning
4 of your statement, it will not preclude you from
5 speaking.

6 The FDA and this committee place great
7 importance in the open public hearing process. The
8 insights and comments provided can help the agency
9 and this committee in their considerations of the
10 issues before them. That said, in many instances
11 and for many topics, there will be a variety of
12 opinions. One of our goals for today is that this
13 open public hearing be conducted in a fair and open
14 way, where every participant is listened to
15 carefully and treated with dignity, courtesy, and
16 respect; therefore, please only speak when
17 recognized by the chairperson. Thank you for your
18 cooperation.

19 Speaker number 1, please step up to the
20 podium and introduce yourself.

21 (No response.)

22 DR. BATEMAN: Okay. We'll move on to

1 speaker number 2. Please unmute and turn on your
2 webcam. Will speaker number 2 begin and introduce
3 yourself? Please state your name and any
4 organization you are representing for the record.
5 You have five minutes.

6 MS. CONTE: Hi. Good afternoon. My name is
7 Emily Conte, and I served as a study coordinator
8 for the SKK protocol at Dr. Patel's site, Conquest
9 Research, in Winter Park, Florida. I do not have
10 any financial relationships with the sponsor, as
11 well.

12 As a study coordinator working on this
13 trial, I was responsible for numerous tasks, which
14 included assisting with data entry and conducting
15 patient visits. Needless to say, I had a first-row
16 seat to the day-to-day conduct of this trial. The
17 SKK protocol holds a special place in my heart for
18 many reasons, but most importantly, this trial is
19 meaningful to me because of the profound impact it
20 had on patients' quality of life.

21 Our team would first meet patients for a
22 prescreening visit in order to learn more about the

1 patient symptoms and see if they would be a good
2 candidate for the trial. In our waiting room at
3 the clinic, it was often easy to tell who was here
4 for the SKK study; often, pain was written on
5 people's faces. They found it difficult to get
6 comfortable in the waiting room chair, and then
7 when it was time to stand to come back for their
8 appointments, it was no easy feat.

9 Once a subject was deemed eligible, the
10 patient would either receive condoliase or the
11 sham. Our team enrolled over 60 patients into the
12 SKK protocol. There are many patients that come to
13 mind who had a positive response to condoliase;
14 however, I would like to specifically discuss two
15 patients.

16 When the first patient came to us, they were
17 at risk of losing their job. Their sciatica pain
18 was severe enough that they frequently missed work
19 due to their pain. I remember sitting with that
20 patient at their week 52 visit, and they were so
21 thankful that they decided to participate in this
22 study. They recalled almost not being able to walk

1 into our office for that prescreening visit the
2 year prior; and at the time of the week 52 visit,
3 their pain had subsided almost completely and were
4 excelling in their career.

5 Similarly, the second patient was struggling
6 in their career as well. They worked as a car
7 salesman, and they were consistently on their feet.
8 The patient's severe sciatica pain began to take a
9 toll on them, both physically and emotionally.
10 After the injection, the patient's demeanor totally
11 changed. The patient lit up any room they were in
12 and would often dance during their visits to show
13 off the improvement in their sciatica pain.
14 Standing for long 8-hour days was also no longer an
15 issue.

16 I am thankful to have witnessed the impact
17 condoliase had on the patients that came to our
18 clinic. The positive impact is a testament to the
19 need for non-surgical options in treating lumbar
20 disc herniation and sciatica pain. Thank you for
21 your time.

22 DR. BATEMAN: Thank you.

1 Speaker number 3, please step up to the
2 podium and introduce yourself. Please state your
3 name and any organization you are representing for
4 the record. You have five minutes.

5 DR. PATEL: Good afternoon, members of the
6 Anesthetic and Analgesic Drug Products Advisory
7 Committee. My name is Anand Patel. I serve as the
8 Chief Medical Officer for Conquest Research, which
9 is a fully dedicated clinical research site network
10 headquartered in Winter Park, Florida. I have no
11 financial relationship to disclose. I am a board
12 certified physician in anesthesiology with a
13 subspecialty certification of pain management, and
14 I've been a practicing clinician for 20 years.

15 Relevant to our discussion today, our site
16 was the highest enrolled in the Seikagaku 6603-1133
17 study, which was a phase 3 study evaluating the
18 efficacy and safety of condoliase as a potential
19 treatment option for the treatment of patients
20 suffering from sciatica due to lumbar disc
21 herniation. This innovative treatment has
22 transformed the management of a debilitating

1 condition, offering the potential for relief and
2 improved quality of life for countless patients.

3 Lumbar disc herniation affects a significant
4 portion of the population with symptoms ranging
5 from mild discomfort to severe pain disability.
6 This combination of physical symptoms, impaired
7 quality of life, mental health burden, and economic
8 impacts on patient livelihood all are justification
9 for seeking safer and more efficacious treatment
10 options for this condition.

11 Currently, there is a large gap in the
12 treatment options available for this medical
13 condition. Due to a combination of side effects,
14 efficacy, or lack of efficacy, and risk associated
15 with the current standard of care, there remains a
16 need to find an effective minimally invasive
17 treatment option for these patients that targets
18 the underlying pathology while carrying a low risk;
19 hence, the importance of condoliase as a potential
20 solution.

21 Condoliase is a drug which is administered
22 via a minimally invasive procedure, where the drug

1 is injected into the impacted disc. The drug
2 selectively degrades glycosaminoglycans and the
3 nucleus pulposus of intervertebral discs. This
4 reduces disc pressure, alleviating nerve
5 compression and potentially reducing nerve pain
6 symptoms. Because chondriase is administered via
7 minimally invasive injection, it provides an
8 effective alternative to surgery without the
9 carried risk. In addition, the enzyme acts
10 directly on the affected disc, minimizing systemic
11 side effects and targeting the affected pathology.

12 The phase 3 study has already been conducted
13 in Japan, demonstrating efficacy and safety of the
14 drug. That drug is currently commercially
15 available in Japan and has been subject to
16 substantial postmarket analysis, which supports
17 substantial evidence of significant pain relief in
18 patients with lumbar disc herniation and a
19 favorable safety profile with minimal, at best,
20 adverse effects in routine clinical settings.

21 On a personal note, we were privileged to
22 enroll 69 subjects in the phase 3 U.S. study. We

1 were the highest enroller. Repeatedly, we would
2 witness patients who would come in with
3 debilitating pain, impacting them both physically
4 and emotionally while compromising their
5 livelihood. These patients had exhausted
6 conservative therapies and were opposed to surgical
7 intervention due to perceived risks.

8 On numerous occasions, our patient
9 volunteers returned for their follow-ups
10 1 to 4 weeks after treatment, noting remarkable
11 improvement in their pain and function, a dramatic
12 turnaround from their pretreatment status. As
13 neutral observers, we would commonly notice a
14 dramatic improvement not only in pain-focused
15 measurements but also an affect and mood. Seeing
16 this as a clinician was rewarding, knowing such a
17 simple and low-risk treatment could be so impactful
18 in altering these patients' lives.

19 In conclusion, condoliase represents a
20 significant advancement in the treatment of lumbar
21 disc herniation. It addresses the limitations of
22 traditional therapies, providing patients with

1 relief, functionality, and help for a better
2 quality of life. Improving this drug will improve
3 accessibility for patients while reducing economic
4 burdens on the patient, the healthcare system, and
5 the workforce. I urge this committee to recognize
6 the importance of making condoliase widely
7 accessible to those who need it. Thank you for the
8 opportunity to present this testimony.

9 DR. BATEMAN: Thank you.

10 Speaker number 4, please unmute and turn on
11 your webcam. Will speaker number 4 begin and
12 introduce yourself? Please state your name and any
13 organization you are representing for the record.
14 You have five minutes.

15 MR. BUSSEY: Good afternoon, and thank you
16 for the opportunity to share my experiences. My
17 name is Carlos Bussey, and I'm a clinical trial
18 coordinator at Vantage Clinical Trials. We're
19 located in Tampa Bay, Florida. I have been working
20 in clinical research since 2006, and I do not have
21 a financial relationship with the sponsor.

22 Over the span of 10 years, starting in 2013

1 and culminating in March of 2023, I had the
2 privilege of coordinating three pivotal clinical
3 trials for this therapy. These trials were
4 conducted across seven interventional pain
5 management medical practices in Tampa Bay, where I
6 witnessed firsthand how this therapy transformed
7 patients' lives, offering new hope and alternatives
8 to those suffering from lumbar disc herniation and
9 sciatica.

10 Many of the patients I encountered were in
11 significant distress, experiencing severe pain,
12 reduced mobility, and quality of life. A
13 significant number of participants in the first two
14 studies were on daily opiate therapy, as this was
15 permitted within the protocol at the time. These
16 patients often expressed frustration with the
17 limitations of opioids, including dependency and
18 diminishing effectiveness. Additionally, many
19 patients had undergone epidural steroid injections,
20 which typically provided only temporary relief
21 lasting about 6 months, leaving them searching for
22 long-term solutions.

1 As an example of a patient, we had a male
2 patient in his late 30s, and he shared how the
3 trial completely changed his life. Before joining
4 the trial, he was a regular pain patient in our
5 practice, relying on opiates to manage his
6 condition. After treatment with condoliase, he was
7 able to quit opiates and reclaim his independence,
8 and take on simple, profoundly meaningful
9 activities like being able to pick up his daughters
10 again.

11 Another example was a yoga teacher in her
12 late 40s who had to give up her daily practice to
13 debilitating leg pain, which greatly affected her
14 livelihood and mental well-being. Following
15 treatment with condoliase, she not only returned to
16 her practice but also resumed teaching classes,
17 describing it as a gift that gave her her life
18 back. Witnessing patients regain independence and
19 hope was incredibly moving. For patients who had
20 been reliant on opioids or had experienced cycles
21 of temporary relief, the trial offered a renewed
22 sense of control over their lives, fostering

1 optimism about their futures.

2 Surgery for lumbar disc herniation is often
3 seen as a last resort, not only because of its
4 risks but also due to its significant financial
5 burden. The cost of a discectomy surgery can range
6 from \$15,000 to \$50,000 or more in the United
7 States, depending on the patient's insurance and
8 healthcare setting. Many patients on the trial
9 cited these costs as a major barrier to pursuing
10 surgery. Additionally, we also saw many patients
11 that underwent discectomy surgery, ending up back
12 in pain management within 6 to 12 months.

13 These challenges, combined with the
14 temporary relief from epidural steroid injections,
15 underscore the need for condoliase as a better,
16 less invasive, and cost-effective solution. Our
17 investigators firmly believe that condoliase could
18 serve as a viable treatment option prior to
19 surgery, potentially sparing patients from the
20 financial and physical toll of surgical
21 intervention.

22 While surgery remains a viable option for

1 some, the recurrence of pain in many discectomy
2 patients and the high financial and physical cost
3 of surgery underscore the importance of having
4 alternative therapies like condoliase. It empowers
5 patients and clinicians to make more informed
6 individualized treatment decisions. Thank you for
7 your commitment to advancing treatments and for
8 considering these real-world experiences as you
9 evaluate the path forward.

10 DR. BATEMAN: Thank you.

11 Speaker number 5, please unmute and turn on
12 your webcam. Will speaker number 5 begin and
13 introduce yourself? Please state your name and any
14 organization you're representing for the record.
15 You have five minutes.

16 MS. CLEMENTS: Good afternoon, everyone, and
17 thank you for allowing me the opportunity to share
18 my testimony today. A little bit about me, my name
19 is Retta Clements, and I'm 65 years old.
20 Currently, I reside in Cypress, Texas, right
21 outside of Houston, Texas. I have one child, a
22 beautiful daughter who's married with three

1 beautiful granddaughters that live about five
2 minutes away from me.

3 I currently work from home as a property
4 casualty agent. I had my first experience with
5 what I know now is radicular leg pain caused by
6 lumbar disc herniation about 4 years ago. I just
7 woke up one day, with no prior signs nor symptoms,
8 with excruciating pain. To give you an idea of the
9 level of pain I was experiencing, it was worse than
10 labor pains. At that time, I had no other medical
11 issues, nor was I taking any medications, so I had
12 no idea what was causing so much pain to me.

13 Hoping to avoid going to the doctor, as well
14 as hoping the pain would just go away, I started
15 Googling my symptoms online. Everything seemed to
16 be pointing toward a sciatic nerve issue. The pain
17 gradually became so intense, I decided to just go
18 ahead and schedule an appointment to see my doctor.
19 The doctor asked several questions, took X-rays,
20 et cetera. The ending result was I was basically
21 advised that I had a herniated disc. She
22 prescribed two types of medications, stating that

1 should help.

2 About a week I later, I realized the
3 medication just was not working for me. I then
4 contacted her again, and then she suggested that I
5 consider seeing a specialist to get other options
6 and possibly even discuss surgery. I knew
7 immediately that that was not the route for me,
8 especially not at that time. You see, at that
9 time, I was self-employed and running a funding
10 business.

11 With each episode, the pain seemed to
12 intensify. At that point, nothing seemed to help.
13 I found myself learning to tolerate the pain better
14 and doing my best every day to just get through. I
15 just waited for the pain to subside, which lasted a
16 week or two during each episode. Fast forward to
17 the very last episode; by this time, I started to
18 consider surgery, believing that, at that point,
19 the surgery might be my only option, as the pain
20 was becoming almost completely debilitating at that
21 time as well.

22 Admittedly, in desperation, I started taking

1 Tylenol PM to dull the pain and get some sleep in
2 between. One day as I woke up in a fog and still
3 in pain, then about 2 months in, I knew I had to do
4 something else to help myself because what I was
5 doing just wasn't helping. I started researching
6 online again, desperately seeking an alternative to
7 surgery or going to a specialist. That is when I
8 discovered that Conquest Research was conducting a
9 study for experiencing radicular leg pain.

10 At that time, I had low expectations of
11 their ability to help, but I knew that it was
12 something that I needed to at least explore, so I
13 completed the required online information, and a
14 few days later they reached out, and I was
15 scheduled an appointment. As a result, they
16 decided that I would be a good candidate, and I
17 immediately agreed to become part of the research.
18 Fast forward and later, the same day of the
19 injection, I went to an outdoor concert in my
20 community. I danced for hours. The very next day,
21 I went to Universal Studios and literally walked
22 around the park, and enjoyed every moment of the

1 8 hours that I spent there with my cousin.

2 It has now been about about 3 years since I
3 received the injection. I've had no leg pain
4 since. I'm so very thankful to God, to Natalie,
5 and the entire research team. Now, I can continue
6 to do the things that I really enjoy doing, such as
7 spending time with my family, my friends,
8 traveling, and a whole host of other things that I
9 enjoy doing. So as you consider your decisions
10 today, I would ask that you remember my story.
11 It's a story of so many other people like me who
12 are suffering and looking for other options to
13 surgery. Thank you so much.

14 DR. BATEMAN: Thank you.

15 Speaker number 6, please unmute and turn on
16 your webcam. Will speaker number 6 begin and
17 introduce yourself? Please state your name and any
18 organization you're representing for the record.
19 You have five minutes.

20 (No response.)

21 DR. BATEMAN: Speaker number 6.

22 (No response.)

1 DR. BATEMAN: Okay. We'll move on to
2 speaker number 7.

3 Speaker number 7, please unmute and turn on
4 your webcam. Will speaker number 7 begin and
5 introduce yourself? Please state your name and any
6 organization you're representing for the record.
7 You have five minutes.

8 MR. HYLTON: Hello. My name is Ian Hylton.
9 I'm a 33 year old from Indianapolis, Indiana, and I
10 have no financial disclosures. My wife and I
11 recently moved in to help care for my mother, who
12 has advanced stage relapsing-remitting multiple
13 sclerosis. I'm a program manager for a hospital
14 working in behavioral health, and I manage a team
15 of remote clinicians. Today, I'm here to share my
16 experience participating in the clinical trial for
17 condoliase and how it changed my life.

18 In March 2019, my wife and I were in a car
19 accident. We were struck in the back driver's
20 corner of my vehicle, and the impact hit so much
21 that it spun us 180 degrees. I felt shaken but not
22 injured enough to go seek medical care. So, fast

1 forward to November 18, 2019, a few months later; I
2 stood up from my desk at work, stretched, and was
3 struck with a sharp, searing pain radiating down my
4 back and my leg. I ended up lying on the floor of
5 my office trying to ease the pain, but it only got
6 worse.

7 I drove home hunched over, barely managing
8 the 3 flights of stairs to my apartment before
9 collapsing. By that night, I ended up walking to
10 the bathroom with the help of my wife. I couldn't
11 do it by myself. My body had contorted to
12 compensate for the pain, leaving me bent and
13 crooked, and that night I laid in bed wondering how
14 I would continue living if this level of pain
15 became my future.

16 The next morning, my wife wheeled me into
17 the ER because I could barely walk. I was given
18 pain medications and muscle relaxers, but received
19 no scans or imaging, just a diagnosis of acute back
20 pain not caused by sciatica, and was discharged
21 with instructions to alternate heat and ice and
22 walk it off. A \$2600 hospital bill later, I still

1 had no answers.

2 Desperate for relief, I tried everything I
3 could afford: back braces; compression wraps;
4 pillows; Aleve; and even CBD. Nothing worked. Any
5 misstep, no matter big or small, could send a jolt
6 of pain down my back and leg. Then one day, I saw
7 an ad on Facebook for a clinical trial for
8 sciatica. I figured why not, and I filled out the
9 application. Before I knew it, I was enrolled in a
10 double-blind clinical trial for condoliase.

11 From the start, I was amazed by the level of
12 care. Through the trial, I finally got answers.
13 MRI scans revealed a severe herniated disc
14 compressing my nerve and causing my debilitating
15 sciatica pain. As part of the trial, I received a
16 one-time injection of condoliase directly into my
17 herniated disc. Almost immediately, I felt slight
18 relief, and over the next few weeks, I carefully
19 followed the trial's guidelines, avoiding any
20 jarring movements and letting the treatment work.
21 By the 4-week mark, I was about 40 percent better,
22 and at 6 weeks, I was 80 percent better. Over

1 time, I improved even more, and by the end of the
2 trial, I had regained 95 percent of my health.

3 For months, I approached my recovery
4 cautiously, afraid to push my back too hard, but
5 with time, I began strengthening my core and
6 working with a chiropractor. I now confidently say
7 that my back is stronger than ever, and the timing
8 couldn't have been more important. As now my
9 mother's full time caregiver, I regularly have to
10 move or lift her when she falls or struggles from
11 weakness. Thanks to condoliase, I can lift her
12 135 pounds and manage her care without fear of
13 reinjuring my back. Whether I'm moving furniture,
14 working out, or supporting my mom, my back holds
15 strong every time.

16 Condoliase didn't just relieve my pain, it
17 gave me my life back, and I'm forever grateful for
18 the strength, stability, and hope it restored,
19 allowing me not to just survive but to truly thrive
20 again. Thank you for my time.

21 DR. BATEMAN: Thank you.

22 We'll move on to speaker number 8.

1 Speaker number 8, please unmute and turn on
2 your webcam. Will speaker number 8 begin and
3 introduce yourself? Please state your name and any
4 organization you are representing for the record.
5 You have five minutes.

6 MS. KUCK: Hello. My name is Tiffany Kuck.
7 I live in Dayton, Ohio, and I am 38 years old. I
8 do not have any financial relationship to disclose.
9 I am married, and I have three little girls:
10 Madeline, 5; Caroline, 4; and Alexandra, almost 2.
11 I'm the Director of Population Health at McGohan
12 Brabender, an employee benefits organization. Much
13 of my job entails traveling to meet with clients to
14 help engage their employees in population health
15 programs, such as establishing a relationship with
16 a PCP and understanding their biometric risk
17 factors.

18 When the severity of my back pain happened,
19 my life changed. I could not travel due to the
20 lengths of time sitting in the car. I could not
21 stand to present at employee meetings. I could not
22 hold my, at the time, 3-month old baby. My life

1 was shattered. When I first began to experience
2 leg pain, it was a 10 out of 10 excruciating pain
3 experience. The entire left side of my calf was
4 numb all the way up to my lower back. I was
5 driving when I first experienced it and had to pull
6 over. My husband had to pick me up from a Kroger
7 parking lot to drive me directly to the ER.

8 I was just returning back to work after
9 maternity leave. I had a 2 year old and a
10 3-month-old baby at the time. I could not lift
11 either of my little girls. I had to stop
12 breastfeeding right away because I couldn't sit and
13 have her on my lap. When she needed a bottle, I
14 had to prop her up on a pillow to feed her that
15 bottle. When she was crying, my husband had to
16 take care of her. At night, when she needed to go
17 into the crib, my husband had to put her in there.
18 My husband had to make dinner and do dishes because
19 it was difficult for me to stand longer than
20 5 minutes. Thank the Lord I had a wonderful
21 husband who was there by my side and holding
22 everything down so I could take care of my body for

1 my future.

2 I did do digital physical therapy, and that
3 definitely helped get out of a critical 10 out of
4 10 pain level, but I would say my pain scale was
5 still regularly between a 7 and 9 on a daily basis.
6 I knew I couldn't go on forever like this and
7 needed to find another option.

8 When I visited my first orthopedic doc, he
9 said back surgery right away. I said, "I'm a
10 mid-30 year old with little ones. That cannot be
11 my only option." I found another orthopedic doc
12 for a second opinion. He said if I could get
13 through these few months of pain, complete physical
14 therapy, "I think you can get beyond back surgery,
15 but it will take time and work." I was up for
16 that. I didn't want back surgery.

17 During that time, I was doing a lot of
18 research on ways to address pain without surgery.
19 Through social media, I learned about a trial for
20 the therapy you are reviewing today. I called and
21 reached out to learn more about it because I was
22 very interested. I loved the idea of the approach

1 and not going under a knife. I hope to understand
2 a little more about why this happened and how to
3 make changes to feel better. I learned a lot from
4 working with Dr. Mehta about this isn't one thing
5 that I did wrong but more of a buildup over time.
6 Dr. Mehta was very compassionate, kind, relaxed,
7 and knowledgeable. I am grateful to him for
8 helping me get where I am at today, physically and
9 mentally.

10 I entered the trial, and thanks to
11 condoliase, I can now lay on the floor to play with
12 my kids, pick them up when needed, drive in the car
13 long distance to meet my clients and their
14 employees, and most of all, I feel healthy and
15 happy. Surgery can't be our only option. Every
16 person is in a different place, and their
17 situations are different. I am grateful to have
18 had a different option, and pray that anybody in my
19 situation -- mid 30s and a new mom -- to be able to
20 avoid surgery. Thank you for your time today and
21 allowing me to share my story.

22 DR. BATEMAN: Thank you.

1 Speaker number 9, please unmute and turn on
2 your webcam. Will speaker number 9 begin and
3 introduce yourself? Please state your name and any
4 organization you're representing for the record.
5 You have five minutes.

6 MS. SORRELL: Hi. My name's Michelle
7 Sorrell. I have no financial disclosures. I'm
8 47 years old, married, and I have three daughters.
9 We live in the Cincinnati, Ohio area. I've been a
10 pediatric nurse for 23 years. As a pediatric
11 nurse, I spend a lot of time on my feet, as you can
12 imagine, and outside of work, I live a fairly
13 active lifestyle.

14 Today, I'm here to share my experiences with
15 the condoliase clinical trial. In early 2021, I
16 would notice I would wake up early in the wee hours
17 of the 5:08:15 [inaudible - audio gap] -- event or
18 injury. My daily routine was to wake early in the
19 morning and go to the gym before work. I started
20 noticing certain exercises at the gym would cause
21 shooting pain from my lower back down my right leg;
22 and as many like to say, nurses are the worst

1 patients, so I didn't seek care, refused advice of
2 friends and colleagues who suggested to try muscle
3 relaxers, steroids, or surgeries. I didn't feel
4 like any of those were good options.

5 So, I just kind of adapted by avoiding
6 exercises that caused my pain. But eventually, I
7 noticed I would start to have pain radiating down
8 my right buttock into my right leg if I even sat or
9 stood for long periods of time. I started taking
10 ibuprofen before bed just to get any sleep at
11 night, and then again in the morning to get through
12 my work day.

13 Then one day, I was walking in Walmart, and
14 I picked up a few items, and suddenly this pain
15 struck. It felt like a shock of electricity
16 shooting down my right leg. It was so bad, it
17 knocked me to the ground. I got up as soon as I
18 was able to recover and was pretty embarrassed,
19 thinking I was now one of those kind of people at
20 Walmart.

21 So, the next day I made an appointment with
22 my local physical therapy clinic. I discussed the

1 symptoms with a physical therapist and started
2 physical therapy 2 times a week, with regular
3 stretching added to my usual gym routine. The
4 physical therapist also instructed me to avoid
5 exercises like running, squatting with weights, and
6 basically anything that could compress my spine.

7 After several months of physical therapy, my
8 pain had not improved at all. I was squeaking by
9 with 4 hours or less of sleep at night because the
10 pain would just keep me up. I often felt tired
11 because I wasn't getting enough sleep. We bought a
12 new mattress that had an adjustable frame. I
13 started sleeping at zero gravity, so my legs were
14 elevated, which provided a little relief and
15 allowed me to get a few hours of sleep, but I was
16 no better.

17 I had pretty much stopped trying to take
18 ibuprofen or Aleve because it wasn't providing any
19 relief, so I figured at this point it was doing
20 more harm than good. I was very consistent with my
21 physical therapy but, again, still not improving.
22 I was getting so frustrated. The sciatic pain took

1 away things I took for granted, like sleeping all
2 night, or bending down to pick something up, or
3 even standing in one place for a period of time. I
4 couldn't do any of those things without bouts of
5 extreme pain. I went from one day running around
6 to the emergency department caring for patients and
7 taking my dogs on runs, to suddenly not even being
8 sure if I would even be able to continue as a nurse
9 if this pain continued to progress.

10 The pain made me feel helpless, old, and
11 cranky. My daughters would see me in pain and
12 always had different suggestions. And then one day
13 my middle daughter said, "You should sign up for
14 the sciatic pain study," she had seen online. And
15 I thought, "I'm so desperate I'd try anything at
16 this point," so I called to express my interest. I
17 qualified for the clinical trial; then working in
18 healthcare, I have pretty high expectations, and
19 this clinical trial more than met them. The study
20 coordinator and physician were professional,
21 empathetic, and respectful of my time.

22 The study provided free-of-cost imaging, and

1 the MRI confirmed what the physical therapist
2 suspected. I had a herniated disc compressing on
3 my sciatic nerve. I continued with the trial,
4 which entailed documentation of my pain and regular
5 follow-up exams with the doctor. Eventually, I
6 received an injection as part of the clinical
7 trial. The study was double blinded, but I was one
8 of the lucky ones to receive the medication. I
9 immediately felt some relief. My pain went from a
10 7 to 8 out of 10 to a 5 out of 10. Within a month,
11 I was a 2 out of 10, and I was so happy to get back
12 to living my life.

13 As you consider your decision today, I would
14 ask you to think of all the people out there like
15 me who were suffering and want another option to
16 surgery. Condoliase provides a minimally invasive
17 option to cure my sciatic pain, and it was
18 life-changing. Thankfully, I'm now sleeping
19 8 hours each night. I can run again. I regularly
20 work out in the gym 6 days a week, and I'm so
21 thankful I was able to be part of this clinical
22 trial. Thank you so much for your time and

1 consideration.

2 DR. BATEMAN: Thank you.

3 Speaker number 10, please unmute and turn on
4 your webcam. Will speaker number 10 begin and
5 introduce yourself? Please state your name and any
6 organization you are representing for the record.
7 You have five minutes.

8 MR. SELTZER: Good afternoon. My name is
9 Mark Seltzer. I have no financial disclosures or
10 obligations for this study. I'm a proud father of
11 two middle-school-aged boys and a husband to my
12 wife of more than 17 years. I'm a commercial real
13 estate developer. We build large residential
14 office, lab, and hotel projects. As the managing
15 director of our firm, being sick, hurt, or unable
16 to work effectively puts a severe strain on our
17 business. We have employees who have families that
18 are counting on me to run business; investors who
19 have invested in our projects, including some
20 individuals; and then also large major insurance
21 companies and pension funds.

22 I've been an active person my entire life.

1 In my 20s and early 30s, I did what I could to keep
2 in shape mentally and physically. Most of my
3 exercise then was playing basketball after work and
4 various weekends, men's leagues, and otherwise.
5 One night when I was around 35, I fell awkwardly
6 and knew instantly I had my first ever real injury.
7 After not being able to move much without pain, I
8 learned I had a herniated disc in my back. I was
9 out of work for about a week, but little did I know
10 that was only the beginning.

11 In a moment, I went from being an active,
12 vibrant, young adult, proud father with a promising
13 career, to someone who struggled to shower without
14 a shower seat and who needed to leave early in the
15 morning for work because it took me so long to walk
16 from my parking spot to my destination. Of course,
17 there were moments where I wasn't in the middle of
18 a flare-up, and my life felt normal for a bit, but
19 even then, I couldn't carry my boys in their car
20 seats or push them in the stroller. The strain it
21 put on my marriage and me mentally was extremely
22 taxing.

1 I tried everything to get better, physical
2 therapy to meds. The steroid shots would work
3 periodically, provide some relief for short periods
4 of time, and in some cases, they would work, and in
5 others, not at all. Unfortunately, the nerve
6 medicine or the opioids were a real problem for me.
7 They greatly affected my cognitive abilities and my
8 memory. My job has far too much risk for error for
9 me to be cognitively affected, and therefore I
10 couldn't take those meds and work.

11 Early on, surgery was presented as an
12 option, but for those who are not familiar with
13 back surgery, it seemed daunting and extremely
14 scary. I searched for other options, and each time
15 the doctors told me there was only surgery to have
16 my life back. I was devastated that my life came
17 down to the fact of the decision to have surgery or
18 no quality of life to be able to enjoy my wife and
19 my kids.

20 Two years later, then in August of 2015 at
21 my best friend's wedding, I gave the best man
22 speech. Later that night, all of the groomsmen and

1 our friends celebrated back at a friend's house.
2 Listening to them all enjoying life in their
3 mid 30s while I was laying in another room on a
4 floor in absolute agony was one of the worst nights
5 of my life. I couldn't move without any pain. I
6 couldn't lay comfortably, and that night I went
7 home again, spent another night crying to my wife
8 about what my life has become, and decided to get
9 surgery.

10 I woke up from surgery, and I knew it
11 worked, but I didn't bounce back right away. In
12 fact, I was in such bad shape for so long, I was
13 very hesitant to do anything. The experience of
14 the previous 2 years really took its toll on my
15 body. My hips were a mess, areas of my back were
16 severely out of alignment, and mentally I was a
17 different person. Fortunately today, some 10 years
18 later, I'm an avid golfer, although I keep my swing
19 speed very, very low, which I would need another
20 5 minutes to talk about my disappointment through
21 that. I'm a loving husband and father. Unlike
22 when my kids were babies, I can carry them to bed,

1 although they're much heavier, and I even play
2 one on one, only to three; I still do win, though.

3 Looking back, I looked for an alternative to
4 avoid surgery, and if there was a drug that could
5 have helped, I would have started it immediately.
6 Even today, the idea of not having to get surgery
7 and not putting the 2 years of irreversible strain
8 on my body gets me emotional. At the very least, I
9 was able to resolve my herniation; however, it put
10 a great strain. I'm certain that today, without
11 those 2 years of stressful conditions on my body, I
12 would be playing basketball, probably even games to
13 11, today with my boys.

14 Candidly, for what it's worth, having
15 thought about those thoughts and hearing some of
16 these other patients expressing their thoughts on
17 this trial, it seems like an absolutely incredible
18 opportunity for those to experience what I was not
19 able to experience and for what I had to endure.
20 Thank you all for the work that you do and for
21 working on this great project.

22 DR. BATEMAN: Thank you.

1 We'll now circle back to the speakers who
2 weren't present initially.

3 Speaker number 1, are you now available?

4 (No response.)

5 DR. BATEMAN: Okay.

6 Speaker number 6?

7 DR. DAVIDOFF: Yes.

8 DR. BATEMAN: Okay. Speaker number 6,
9 please unmute and turn on your webcam. Will
10 speaker number 6 begin and introduce yourself?
11 Please state your name and any organization you are
12 representing for the record. You have five
13 minutes.

14 DR. DAVIDOFF: Yes. Hi. Apologies for the
15 lag beforehand. My name is Scott Davidoff. I also
16 have no financial relationship with the sponsor. I
17 was a primary investigator for the study. I'm a
18 board certified pain management physician working
19 at Main Line Spine, which is located in the
20 Philadelphia area. I've been in practice for
21 roughly 15 years, with a specialization in
22 non-surgical pain management, specifically

1 specializing in back pain. I'm double board
2 certified with the American Board of Physical
3 Medicine and Rehabilitation, as well as the
4 American Board of Pain Medicine. I'm also the
5 Past-President of the American Board of Pain
6 Medicine and remain actively involved in this
7 board.

8 One of the most common presentations I see
9 in patients is radiculopathy or lower extremity
10 pain resulting from a lumbar disc herniation. I've
11 seen upwards of 30 to 40 patients a week with this
12 specific diagnosis. A lumbar disc herniation
13 causing nerve pain in the leg can have severe
14 impact in a patient's quality of life, as you've
15 all heard from patient testimonies this afternoon.
16 This includes decreased activities of daily living,
17 decreased sleep, significant missed time from work,
18 sometimes resulting in permanent disability.
19 Patients often require multiple visits to urgent
20 care or the emergency room, as well as primary care
21 visits and other specialists before seeing a pain
22 management specialist like myself.

1 In my practice, and specifically in our
2 field of pain medicine, we use a variety of
3 treatment options to manage this type of pain.
4 This includes months of physical therapy or
5 chiropractic therapy, both opiate and non-opiate
6 prescription pain medications, and a variety of
7 interventional procedures, most commonly epidural
8 steroid injections. Oftentimes, 2-3 injections are
9 needed per episode. Sometimes they're effective;
10 oftentimes short term effectiveness. There are
11 also patients that eventually require surgical
12 consults and something called a spinal cord
13 stimulator, which is a procedure which requires the
14 insertion of a wire in the spine in order to reduce
15 or eliminating nerve pain.

16 As stated before, when patients do not
17 respond to these conservative care measures, they
18 often require surgical evaluations and treatments.
19 Surgical options include either a laminectomy or
20 discectomy, or sometimes a lumbar fusion, which
21 involves the insertion of metal rods in the spine.
22 These surgical procedures carry significant risk

1 factors, including bleeding, infection, nerve
2 damage, and sometimes increased pain afterwards.
3 There is also an inherent risk of additional
4 deterioration at the level of surgery, both at the
5 level and/or above or below the levels after the
6 surgery. Additionally, as you all know, all of
7 these treatments, including surgery, carry
8 significant healthcare costs.

9 During my time as the primary investigator
10 in this clinical trial, I observed a significant
11 improvement in both pain levels and quality of life
12 in the patients enrolled in this trial. This
13 includes a reduction in pain medication, as well as
14 reduced physical therapy and epidural steroid
15 injection requirements. Specifically, there was
16 one patient that was able to return to running
17 marathons several months after the injection. In a
18 separate patient that was a full-time yoga
19 instructor, she was on full-time leave, and within
20 6 weeks, she was back to teaching full time.

21 By decreasing the size of the disc
22 herniation, thus resulting in decreased compression

1 of the nerve causing leg pain, this procedure can
2 have a massive impact in the treatment of our
3 patients. I foresee a significant decrease in the
4 need for additional interventional procedures,
5 medication requirements, physical therapy, and the
6 reduction in the need for surgery. This will
7 clearly have significant beneficial impacts on the
8 cost of healthcare as well.

9 From a patient standpoint, along with
10 avoiding the need for these above treatments, the
11 impact will be seen significantly on decreased
12 pain, improved function, and increased quality of
13 life. I wouldn't hesitate to use the term "game
14 changer" if this product becomes available to our
15 field medication. I appreciate you all allowing me
16 the time to speak this afternoon. Thank you very
17 much.

18 **Clarifying Questions (continued)**

19 DR. BATEMAN: Thank you.

20 The open public hearing portion of this
21 meeting is now concluded, and we will no longer
22 take comments from the audience. We're going to

1 spend the next 20 minutes or so doing additional
2 clarifying questions for the sponsor.

3 When acknowledged, please remember to state
4 your name for the record before you speak and
5 direct your question to a specific presenter, if
6 you can. If you wish for a specific slide to be
7 displayed, please let us know the slide number, if
8 possible. Finally, it would be helpful to
9 acknowledge the end of your question with a thank
10 you, and the end of your follow-up question with,
11 "That is all for my questions," so we can move on
12 to the next panel member.

13 I think there are some additional data from
14 this morning that was requested that the sponsor is
15 going to present, and then we'll entertain
16 additional questions.

17 DR. STAUFFER: Thank you, Dr. Bateman.

18 We were asked this morning to provide you
19 with some data on two elements. One was on
20 patients with nerve root impingement, and I think
21 that was a question, and then a little bit about
22 patients with back pain and how did they do later

1 on. So, I'll first have Ben Vaughn speak to the
2 nerve root impingement, and then I'll speak to the
3 other elements of back pain.

4 MR. VAUGHN: Ben Vaughn, statistics. First,
5 just a little context; again, this is 1131, our
6 failed trial. I didn't do a great job of
7 articulating the combination of these various
8 factors, so we have a Venn diagram here showing you
9 the counts in each; and that's really washed out,
10 some of those numbers, but the bold numbers are the
11 ones that we're really focused on.

12 The bold numbers add up to the subjects with
13 clear nerve root impingement, and then you can see
14 those slices on either side for no chronic lower
15 back pain or no recent concomitant opioid use. For
16 example, among those with clear nerve root
17 impingement, we have 46 that didn't have chronic
18 lower back pain, and then ideal subjects in each,
19 we have 20 on active and 5 in sham. And you can
20 see, we really do get into some very low numbers
21 over on sham; so context for what we have there.

22 Then for the actual plot over time, this is

1 parallel to the FDA plots in that LS mean change
2 from baseline. So you can see those tighter error
3 bars like you saw in Study 1031 and Study 1133, the
4 FDA plots. This is more parallel to what they did
5 versus that plot that was just raw values. I hope
6 that addresses your concern, Dr. Reich.

7 You can see that, consistently, we do have
8 the subjects with clear impingement are falling
9 lower. This doesn't look great. It's not wide
10 separation. It's not the perfect picture. This
11 study had many flaws. Those do include subjects
12 that have some of these other covariates that could
13 be increasing the variability or making it
14 difficult to see separation, but we do have a very
15 consistent picture of the ones with nerve root
16 impingement falling lower and improving a bit more.
17 And most importantly, when we corrected these
18 things, when we add these to our
19 inclusion/exclusion criteria, in Study 1133, we had
20 a positive study. So once we aligned the
21 populations to what we saw in Study 1031, it was a
22 positive outcome.

1 DR. BATEMAN: So, I'm a little confused by
2 the numbers. The number of patients in 1131 that
3 had MRI-confirmed nerve root impingement was 69, I
4 think, in the table we saw.

5 MR. VAUGHN: I believe it's 79 from the Venn
6 diagram; yes, 79.

7 DR. BATEMAN: And some of the patients
8 received treatment and some did not; right?

9 MR. VAUGHN: Those are the ones on active.

10 DR. BATEMAN: Okay.

11 MR. VAUGHN: So we have 79 on active and 21
12 in the sham group. Now, in that plot, we are just
13 showing all the sham because method of action
14 doesn't really matter for the sham.

15 Here are the Ns again.

16 DR. BATEMAN: Although the natural history
17 could be different in those that have nerve root
18 impingement than others. So is it possible to see
19 just the clean group of those with MRI-confirmed
20 nerve root impingement stratified by treatment
21 versus sham?

22 MR. VAUGHN: We have not broken that out in

1 that plot. We just grouped them because, again,
2 getting into those 21 subjects is just wildly
3 noisy. And here's that plot again.

4 DR. BATEMAN: I just think the proposed
5 label is adding this component with nerve root
6 impingement, so that would be the cleanest
7 representation of what is being proposed in the
8 label. And if we have this negative study, 1131,
9 that's different from 1133, I think it would be
10 helpful to see those raw data for the population
11 that the medication's being labeled for.

12 MR. VAUGHN: Sure.

13 DR. BATEMAN: Even if it is noisy, even if
14 the confidence intervals are wide, it would at
15 least give us a sense of is that a reasonable
16 explanation for the differing results in 1131
17 versus 1133.

18 MR. VAUGHN: Understood.

19 I'm going to quickly turn it back to
20 Dr. Stauffer to address the question about back
21 pain.

22 DR. STAUFFER: Thank you. So as just a

1 general discussion this morning -- sorry, here's
2 the slide -- this looks very similar to what you
3 might have seen in the core deck. We just wanted
4 to put the story together for you side by side,
5 both trials at 13 weeks, to give you a sense, and
6 the general sense, of the overall impression of
7 this drug, not only the pain scores for the most
8 important thing, which is the leg pain, but also
9 did it make back pain worse.

10 I think the FDA pointed out that the point
11 estimates still favored the drug in both of these
12 trials, not only at 13 weeks. And not only is pain
13 important here, but also other elements for these
14 patients. I think you heard patients talk about
15 their functional improvements, and we have some
16 responder analyses that are here as well, and
17 quality-of-life assessments, too.

18 So, this is all very consistent with the
19 clinical meaningfulness of the drug and what it can
20 do, and that's not only true at 13 weeks. And you
21 can see what I'm showing you here, but I'll show
22 you the same look at 52 weeks. So, this is out to

1 a year, the same idea. Again, we just want to make
2 sure we addressed your questions on low back pain.
3 Thank you. You guys don't have copies of that. If
4 you'd like those two slides, we have those copies
5 here for you, if you would like them later.

6 DR. BATEMAN: Okay.

7 Dr. Schiff?

8 DR. SCHIFF: Yes. Thank you. One quick
9 question is, in all of the 29,000 patients who've
10 received these injections, I'm old enough to
11 remember chymopapain. Did anyone get a known
12 subarachnoid injection? Anyone can answer.

13 DR. MARTIRE: Diane Martire, safety. There
14 are no reports in the postmarketing safety database
15 of injection into the subarachnoid space.

16 DR. SCHIFF: Thank you.

17 A harder question for the group is, if I
18 look at the inclusion and exclusion criteria for
19 the last clinical trial that was positive, they
20 seem extensive and superb. They're all the reasons
21 that you might get discouraged at surgery for
22 lumbar back pain and leg pain from herniated discs.

1 And maybe if our surgical specialists used such
2 criteria, our outcomes on the few patients that we
3 would operate on would have been much better.

4 When I look at the 1131 study, those
5 criteria were much less restrictive. We think
6 that's the reason for the failure against sham.
7 But in practice, if approved, I think there's a
8 risk that the entrance criteria effectively applied
9 is going to be less restrictive as the clinical
10 trial, and that there's a risk that the outcomes
11 could look very much like the failed clinical
12 trial.

13 I'd love to hear comments on how the
14 extremely restrictive criteria, which I think were
15 very good, for the last clinical trial would
16 translate into practical use for an approved
17 compound. That's the hard question for whoever
18 wants to address it. Thank you.

19 DR. STAUFFER: Thank you. I'm going to have
20 Dr. Kim address your question as a neurosurgeon.

21 DR. KIM: Kee Kim, neurosurgery. Everyone
22 that comes into my clinic is slightly different.

1 When I look at the patient and examine the patient
2 and get the history, and have an MRI that is
3 showing herniated disc that's causing their
4 miserable pain, I want to know what they've tried,
5 of course, and that's part of the process. If I
6 feel like they've tried adequate stuff, then
7 surgery will be brought up.

8 Now, if condoliase is available as a
9 treatment, of course, that would be a discussion I
10 would have. And I feel personally, based on the
11 data that I have, and I was also part of the study,
12 that this will be something that I would recommend
13 to my patients to try first. And I'll tell my
14 patient, "You're not going to burn any bridges. If
15 this doesn't work, I'll go ahead and operate on
16 you."

17 DR. SCHIFF: Thank you.

18 Let me ask this question slightly
19 differently. If this were approved, based on the
20 last clinical trial, you'd have a package insert
21 that would go on for page after page of inclusion
22 and exclusion criteria, and I don't think that's

1 going to happen. How do you translate why that
2 trial showed significant improvement into practical
3 usage, assuming that most practitioners aren't as
4 good as Dr. Kim?

5 DR. STAUFFER: Joe Stauffer, advisor to SKK.
6 This drug is for the pain practitioner, ideally,
7 first-line treatment for the pain practitioner
8 after patients have suffered through; and all the
9 clinical trials need to be discussed as best we can
10 in the clinical package insert, of course. The
11 marketing materials and medical education materials
12 will also bear some of that as well.

13 We fully expect that physicians who use this
14 understand how to use it. They select for the
15 right patients. It will not work for everybody,
16 and we acknowledge that; and there are certain
17 patients that it may work for and then not work
18 down the road. We acknowledge that as well, as
19 Dr. Kim pointed out.

20 But we believe that we've got a solid drug
21 here. There's a radiologically visible nerve root
22 impingement, and that's the patient that's to be

1 selected. These are folks who have suffered at
2 least 6 weeks or longer. These are also folks that
3 they're unresponsive to other therapies. It fits
4 with the mechanism of action of this drug, proven
5 both preclinically and clinically, so we believe
6 that that would be the best way to describe that in
7 the package insert. We'll work with the agency to
8 do that, of course, and then to use that in the
9 marketing medical education materials as well.

10 DR. SCHIFF: Thanks.

11 DR. STAUFFER: You're welcome.

12 DR. BATEMAN: Dr. Schiff asked questions
13 about efficacy. I just want to follow that up with
14 a question about safety.

15 DR. STAUFFER: Yes?

16 DR. BATEMAN: In the postmarketing
17 surveillance data, there were 5 cases of severe
18 cutaneous adverse reactions, life-threatening
19 cutaneous adverse reactions. I wonder if you could
20 just speak to the way those cases were ascertained.
21 If you look at the rate of these SCARs, it's
22 approximately 1 in 5,000, quite a high rate for

1 such a serious complication, and if you could just
2 reflect on that.

3 DR. STAUFFER: I'll have Dr. Martire address
4 your question.

5 DR. MARTIRE: Diane Martire. I'll start
6 with the AGEP, the acute generalized exanthematous
7 pustulosis cases. One of these was solicited from
8 the ongoing survey and another one was spontaneous.
9 What happened was these patients presented with a
10 rash 2 days after injection that became pruritic.
11 They sought medical treatment. They developed the
12 pustules. It was recognized by a dermatologist as
13 potentially related to drug. They were treated
14 with topical steroids and antihistamines. Two of
15 them had a skin biopsy which was consistent with
16 AGEP, and they followed the typical clinical course
17 of AGEP, which is resolution within 2 weeks with
18 some desquamation. So, we believe that those are
19 real cases of AGEP as determined by skin biopsy and
20 clinical course.

21 Regarding the case of erythema multiforme,
22 it was a mild case presented with a maculopapular

1 rash within 2 days of injection of condoliase.
2 They did not develop mucosal erosions, systemic
3 symptoms, or skin detachment, which would be the
4 hallmark of a more severe SCAR. They were treated
5 with steroids and antihistamines, and they
6 eventually resolved.

7 The SJS case, the diagnosis was then revised
8 to anaphylaxis because the initial reporting doctor
9 reported it as -- a lot of these represent
10 maculopapular rashes, which in the clinical world
11 are very confusing and cover a range of
12 symptomatology. This patient developed a rash, and
13 the next day had urticaria, a little bit of
14 scratchiness in the throat, so it was determined
15 that was actually more consistent with anaphylaxis
16 because they did not go on to have a blistering
17 skin rash, skin detachment, and mucosal
18 involvement, which were the hallmarks of SJS. So,
19 fortunately, that patient also resolved.

20 DR. BATEMAN: Then, can you just speak to
21 the degree to which these cases are being captured
22 comprehensively in the postmarketing surveillance?

1 Are are you relying on spontaneous reports or was
2 there systematic surveillance?

3 DR. MARTIRE: So, there's an ongoing survey
4 in Japan postmarketing. As I mentioned, that's
5 where the solicited cases come from, and there's
6 reporting that goes on there; otherwise, it's the
7 routine pharmacovigilance that's ongoing in Japan,
8 which is spontaneous reporting.

9 DR. BATEMAN: And how many patients were
10 surveyed or how many practitioners?

11 DR. MARTIRE: Currently, there are -- it's
12 in one of your briefing documents -- about
13 4,000 patients that have been involved in this
14 survey in Japan. That study's still ongoing. The
15 report will be available next year.

16 DR. BATEMAN: Dr. Nelson?

17 DR. NELSON: Ariana Nelson. This is a
18 question for possibly Dr. Martire or possibly Ben
19 Vaughn. I'm just curious how you decided on the
20 dosing for Study 1021 because it seems that you
21 adopted the lowest dose. So I'm unclear when we
22 decided that that was the lowest effective dose, if

1 you could comment on that.

2 DR. STAUFFER: I'm actually neither
3 Dr. Martire or Dr. Vaughn., but I think I can
4 address this question for you. Joe Stauffer.
5 Sorry; I've got to get closer to the microphone.

6 Study 1021 was the dose-range finding study.
7 It was four treatment arms: low, medium, and
8 high dose, so a 1.25 middle dose, and then a higher
9 dose at 5 units. There was a placebo arm as well.
10 It was pretty much a flat dose-response curve, so
11 there was no real difference between the 3 doses
12 for the drug; however, there were some more adverse
13 events in the higher doses, the two higher doses,
14 and that's how the drug was picked. It's harder to
15 make this drug at a lower concentration than the
16 1.25, just for manufacturing purposes and for
17 consistency, so that's how the dose was selected.

18 DR. NELSON: Thank you.

19 DR. BATEMAN: Dr. Reich?

20 DR. REICH: Yes. Thanks. I have a few,
21 some in preclinical, then some statistical. I
22 wanted to return back to that 1131 failed data, and

1 then some safety questions, if I can.

2 DR. BATEMAN: Okay. We don't have a huge
3 amount of time.

4 DR. REICH: Okay. On the preclinical
5 side -- I'll just ask, and then you can respond,
6 and I'll rifle through them -- any proteolytic
7 activity in vitro that was characterized or not?
8 Enzymes are very pH dependent, and I'm assuming
9 that the pH of the nucleus pulposus is physiologic,
10 around 7, but do we know that's the case in
11 herniated discs? What about diffusibility and
12 elimination? How is this enzyme actually
13 eliminated?

14 DR. STAUFFER: So, a lot packed into your
15 question there. I'm going to have Dr. Spehalski
16 address your question.

17 DR. SPEHALSKI: Hi. Liz Spehalski,
18 nonclinical. The first question was proteolytic
19 activity. I can show you that condoliase has very
20 specific activity for chondroitin sulfate. We
21 don't see any proteolytic activity of it. It's
22 very specific for chondroitin sulfate with some

1 minor activity on hyaluronic acid. Additionally, I
2 have data here that I can show you regarding just
3 looking at casein and bovine serum albumin, two
4 proteins, that condoliase does not show activity
5 over water.

6 The second question, pH. The pH of a disc,
7 to my knowledge, is the same as the physiologic pH,
8 but I'd actually have to double check for you.

9 Then, the last question was about PK, metabolism.

10 DR. REICH: Yes, diffusibility and
11 elimination.

12 DR. SPEHALSKI: Sure. We have data
13 nonclinically that suggest that condoliase stays at
14 the disc in animals, and monkeys, and dogs for
15 about 30 days. It's not detectable at 90 days by
16 ELISA. We don't see metabolism at the disc itself.
17 It's whole condoliase, so what we see is that it's
18 gradually eliminated at very low levels,
19 undetectable levels, into the plasma, where it's
20 metabolized just like a protein would be,
21 eliminated like a protein.

22 DR. REICH: Okay. In terms of the trial and

1 interrogating the data, can you comment on PT
2 rehab, which was allowed to continue, and how
3 balanced that was over the course of the study?
4 And also with respect to rescue meds, how balanced
5 was that over the course of the study?

6 DR. STAUFFER: So, rescue meds, I can do
7 that first because I know we have that as a backup
8 slide; if I could have that, please. They're
9 pretty balanced, by the way, in the rescue
10 medication throughout all these trials. There was
11 no significance between one or the other, be it be
12 it opioid, or NSAID, or Tylenol. That I do know,
13 and if I have the slide up, I can show you, but it
14 was generally balanced.

15 In terms of physical therapy, I think your
16 question is, did patients have more physical
17 therapy or less? They were stabilized on their
18 physical therapy. They weren't allowed to increase
19 or decrease as part of the trial, and that was part
20 of the enrollment criteria.

21 DR. REICH: And balanced as well?

22 DR. STAUFFER: That I'd have to get for you.

1 Sorry. I don't have the PT.

2 DR. REICH: Sure. That's excellent.

3 Maybe a question for Ben Vaughn. In terms
4 of the variance between the modified intent and the
5 actual intent to treat, the numbers are not large,
6 but I'm just wondering how that was defined.

7 MR. VAUGHN: I do not know off the top of my
8 head. It was only one or two subjects that were
9 eliminated. I think it was they had to actually be
10 treated for the modified intent to treat, so there
11 were two subjects that, for whatever reason, were
12 not injected, but a very small number.

13 DR. REICH: Got it.

14 On the MRI findings, you talked about the
15 volume of this, but did it actually look by that
16 metric of impingement and whether there was MRI
17 resolution of the impingement?

18 DR. FUERST: Tom Fuerst, radiology advisor.
19 That's a good question. We did not look after
20 screening at any changes in the impingement status.
21 We did look only at the volume change. We relied
22 on the efficacy endpoint of pain.

1 DR. REICH: Got it.

2 I wanted to go back to 1131, and maybe flesh
3 out more of what Dr. Bateman was struggling with.
4 In the FDA book, table 4, again, I could be
5 misreading this, but it looks like -- I don't know
6 if you have that -- you have it broken down by what
7 Dr. Bateman was looking at, which was the
8 MRI-confirmed nerve root, whether it was present or
9 not in the treatment, in the patients who received
10 treatment, and then broken out by the other
11 factors: back pain, chronic comorbidities, and
12 spinal comorbidities.

13 Is that gettable?

14 MR. VAUGHN: Am I recalling correctly -- you
15 have it right there; we should look at that. I
16 think it's negative 40 versus negative 36.

17 DR. REICH: Correct.

18 MR. VAUGHN: Yes. That's consistent with
19 what we've been saying. That is just in the active
20 group, but what that's showing is that there was a
21 40-point improvement in the subjects with visible
22 nerve root impingement versus a 36-point

1 improvement in active subjects without the nerve
2 root impingement.

3 DR. REICH: Yes. Again, when I look at
4 this, what strikes me as driving the failure of the
5 study is the back pain, the chronic pain
6 comorbidities and the spinal comorbidities, that
7 seems to be driving the larger variance a little
8 bit to the MRI, plus or minus the impingement.
9 Then, on table 7, you also had a big imbalance in
10 terms of history of muscular skeletal disease and
11 past psychiatric history.

12 So, I'm just wondering why we didn't put
13 more emphasis on these imbalances. And don't get
14 me wrong -- and I'll get to this later in the
15 comments period -- that I like where you landed in
16 terms of screening and enrolling people only with
17 MRI-proven impingement. But again, just looking at
18 the data, I'm wondering why that was so prominent.

19 MR. VAUGHN: So, this was done at the time
20 that we had our first Japanese study and our first
21 U.S. study, so it was what the sponsor identified
22 at that time as being the most clear issues with

1 the trial. With the nerve root impingement, it's
2 very obvious. There's a valid clinical reason why
3 this would have that impact, so that really jumps
4 out, and it was a clear failure of that trial,
5 being that we only had 27 percent of the subjects
6 with that nerve root impingement.

7 With some of these other things, these are
8 items the agency looked at, and I think their
9 conclusion was that you couldn't get to a clear
10 conclusion; that these things may have impacted it,
11 it may have not; however, we do have one thing that
12 we know has this very clear clinical validity, and
13 it does seem to pop out a bit.

14 I will point out, again -- I know I keep
15 harping on this -- these last two items, 18 and 9,
16 it's just very hard to draw conclusions once you
17 get into these small Ns, and it's not randomized;
18 it's just what popped out in the data, so no alpha
19 control. It's just difficult to really get to
20 something when you don't have that clinical link as
21 well, where you know this is how the drug works.

22 DR. BATEMAN: These are just the changes in

1 the treatment arm. These are not --

2 MR. VAUGHN: Yes, this is active arm. It's
3 not subtracted.

4 DR. BATEMAN: So we don't know the other
5 side.

6 DR. REICH: Got it

7 MR. VAUGHN: Correct.

8 DR. REICH: Just a few more. Thank you.

9 DR. BATEMAN: How about one more?

10 DR. REICH: Okay.

11 On the safety side, I looked at Xiaflex,
12 that collagenase may be a decent proxy as a
13 biologic that's also an enzyme. Can you refer to
14 the safety profile of a commercially available
15 injectable enzyme to help us put the safety profile
16 of this in context?

17 DR. STAUFFER: We don't have any specific
18 data on other compounds. Specifically what you're
19 asking for -- I think you said Xiaflex -- we don't
20 have any specific data on what that might look
21 like.

22 DR. BATEMAN: Okay. We are now going to

1 proceed with the charge to the committee from
2 Dr. Doshi.

3 **Charge to the Committee - Tina Doshi**

4 DR. DOSHI: Thank you, all, for your
5 attention and thoughtful consideration of the
6 issues thus far. As I mentioned in my introductory
7 comments, we are now asking you to consider several
8 key issues. You should be able to see our
9 questions on your screen shortly. Since they're
10 going to be read verbatim for the public record in
11 a little bit, I'm just going to paraphrase them now
12 to put them into context.

13 We have five discussion questions and one
14 voting question for you to consider. First, we'd
15 like for you to discuss the impact of the negative
16 study, Study 1131, on your assessment of the
17 population with the best benefit-risk relationship
18 for this product and how that information from the
19 study should be conveyed to prescribers who might
20 be considering this drug for their patients.

21 We've also described two categories of
22 safety concerns: spine-related adverse events and

1 immune-related adverse reactions, including
2 hypersensitivity and severe cutaneous adverse
3 reactions. We will be asking you to comment on the
4 significance of those. Common to both efficacy and
5 safety, we would like to hear your thoughts about
6 assuring appropriate patient selection and patient
7 safety with regard to the appropriate requirements
8 for proceduralists and setting of administration.
9 Lastly, bearing these discussions in mind, we'll
10 ask you to vote on whether the benefits of
11 condoliase outweigh the risks, and to provide a
12 rationale for your vote.

13 With that, I'd like to point out that on the
14 agenda, there's a small error. Dr. Bateman will be
15 guiding the discussion and the questions. So with
16 that, I'll turn it over to Dr. Bateman, and I look
17 forward to the committee's discussion.

18 DR. BATEMAN: Thank you.

19 We're actually going to take a 10-minute
20 break, and then we're going to resume with our
21 deliberation on the the questions, and we'll just
22 go straight through until the end. So a 10-minute

1 break, and we'll be back at 2:32.

2 (Whereupon, at 2:22 p.m., a recess was taken,
3 and meeting resumed at 2:32 p.m.)

4 **Questions to the Committee and Discussion**

5 DR. BATEMAN: The committee will now turn
6 its attention to address the task at hand, the
7 careful consideration of the data before the
8 committee, as well as the public comments.

9 We will now proceed with questions to the
10 committee and panel discussions. I would like to
11 remind the public observers that while this meeting
12 is open for public observation, public attendees
13 may not participate, except at the specific request
14 of the panel. After I read each question, we will
15 pause for any questions or comments concerning its
16 wording.

17 We'll proceed with our first question, which
18 is a discussion question. The question is, comment
19 on the significance of Study 1131, the negative
20 study, in the context of the other two positive
21 studies with respect to establishing the efficacy
22 of condoliase.

1 Any questions regarding the wording of the
2 question?

3 (No response.)

4 DR. BATEMAN: If there are no questions or
5 comments regarding the wording of the question,
6 we'll proceed with an open discussion of the
7 question. Please, again, indicate that you'd like
8 to speak by turning your name tag.

9 Dr. Schiff?

10 DR. SCHIFF: I'm fascinated by the different
11 sandwiching of the two positive studies. The
12 indications were quite different. Why the first
13 positive study in Japan had such good results, it
14 contains information that we just don't fully
15 understand. It's a very homogeneous population.
16 One of the things I do is I also look at
17 population-level genomics, and it was a different
18 group of people, heavy laborers, fairly young, not
19 as overweight as the U.S. population, but they
20 didn't have the confirmed image-guided mechanism
21 that we anticipate the compound addresses, and then
22 the two U.S. studies, a very different population.

1 I think in retrospect, I'm not surprised at
2 the failure, but as I mentioned briefly before, how
3 do you translate the success of the second study,
4 given what I also feel is a very rigorous inclusion
5 and exclusion set of criteria? How that gets
6 translated into approval without having our results
7 then turn into a lot of people with back pain are
8 getting injected, and having the outcome look like
9 the failed study, is my concern. I wish I had a
10 better answer, though, to those differences.

11 DR. STAUFFER: It mentioned the Japanese
12 study did not have confirmed nerve root
13 impingement. I believe the slide that is
14 up -- hopefully will come up -- will address some
15 of your questions or some of your commentary.

16 DR. BATEMAN: We're going to try to keep
17 focused on the discussion for now, and we'll ask
18 you for additional clarification if we need it.

19 Dr. Bicket?

20 DR. BICKET: Thank you.

21 Dr. Schiff, I appreciate your comments, and
22 just one comment there from Dr. Sudhakaran's

1 presentation. While the Japanese study didn't
2 confirm as an eligibility criteria that people have
3 nerve root impingement, it seemed from data he
4 presented, that about 80 percent of those patients
5 did have nerve root impingement there, which I
6 think pushes us back to that discussion about that
7 being a key factor on the indication.

8 When I think about this 1131 study, a part
9 of it is a question of parsing out what can we take
10 away from that negative study and to what degree
11 does it need to modify that label? I do feel with
12 these two positive studies, from the information
13 that's been presented both by the sponsor and by
14 the FDA, and reading the studies, that they're
15 meeting that mark of saying, "Look, we do have
16 significant efficacy that's there." I have gone
17 back and forth about this idea of, well, does there
18 need to be further restriction besides just saying
19 we need MRI-guided confirmation of that nerve root
20 impingement?

21 I do know that, for context, if I'm just
22 thinking more globally, 1131 I believe was

1 conducted between 2013 and 2017. It's a time in
2 the United States where we're seeing still
3 prevalent but somewhat declining rates of opiate
4 prescribing in the general population. We know
5 that people were prescribing opioids for low back
6 pain quite commonly. It's not to say that that's
7 totally gone out of favor, but I do believe that
8 there have been efforts that weigh in here about
9 thinking in the future years that the use of
10 opioids is going to be less prevalent, though it
11 will still happen for some patients; and that
12 tempers a little bit of my concern about the
13 influence of opioids and not necessarily needing to
14 declare that someone needs to be opioid free to
15 receive a treatment like this were it to be
16 approved. Those would be at least some of my
17 initial thoughts about how the study fits in
18 between the two. Thank you.

19 DR. BATEMAN: Okay.

20 We'll go to Dr. Kennedy next.

21 DR. KENNEDY: Thank you. Specifically
22 regarding the positive studies and negative

1 studies, I think this represents a natural
2 evolution in study design, and I don't have a real
3 problem with it, especially because of the US-based
4 nature and different patient populations. Your
5 first study, you find negative, and then you do
6 subset, you do a cleaner study the second time, and
7 I don't have a problem with that, but I do think
8 that affects potential labeling.

9 I will agree with the comment just made
10 about opioids decreasing. It's really a strong
11 covariate, but I think in addition to the specifics
12 of nerve root impingement, back pain equal to or
13 greater than leg pain changes things a lot. The
14 notion that if you decrease someone's leg pain and
15 they have equal back pain also, they're 50 percent
16 better is probably not accurate. They're still on
17 the same degree of pain is what I find.

18 We know that practice patterns -- we heard
19 from a number of testimonials of really strong
20 effect, which was really impressive, but you also
21 heard them almost ubiquitously say, "Yes, we were
22 offered surgery right away." So when broadly

1 applied, the application will probably be much more
2 similar to the negative study unless there are
3 significant efforts to make that not the case.

4 DR. BATEMAN: Thank you.

5 Mr. O'Brien?

6 MR. O'BRIEN: Sort of echoing the others,
7 when I look at the significance of 1131, it's from
8 two different perspectives. From the perspective
9 of providing the data of efficacy and safety, what
10 the FDA has said and whatever, I think the combine
11 positive studies should give one appropriate
12 approval rating.

13 On the other hand, I fear that 1131 is
14 really an omen of things to come. We've seen so
15 often when something gets approved, that all of a
16 sudden it's off label and it's given. I think 1131
17 tells us, hey, there's a huge population out there
18 of individuals that are in this situation where
19 they're going to want to have that, and I think
20 it's going to be applied. And it may be just
21 telling us that we're going to have a large group
22 coming up in the years ahead once this thing is

1 approved and out there, from a practical thing.

2 So I guess it does come back to me in terms
3 of making very sure that the label and the
4 indications are very specific on this patient
5 population, and go beyond -- I think there has to
6 be more that's eked out of those 4 pages of
7 excludability that was on the subsequent study that
8 has to be pulled into that label and be very
9 specific, because I think we're creating a tidal
10 wave.

11 DR. BATEMAN: Dr. Kirkpatrick?

12 DR. KIRKPATRICK: I put my sign down because
13 it's been discussed. Thank you.

14 DR. BATEMAN: Dr. Reich?

15 DR. REICH: Yes. Thanks. Jeff Reich. Just
16 to respond to Dr. Schiff, I'll echo what
17 Dr. Kennedy was saying. I like the term "evolution
18 of study design" because I think that's exactly
19 what we've seen and should be commended. Clinical
20 trials, at best, are artifacts. Closely, you try
21 to approximate what goes on in the real world, and
22 clinicians hopefully are able to translate that.

1 So, inclusion/ exclusion criteria, for example, are
2 always a necessary condition of a clinical trial
3 and never reflect what goes on in clinical
4 practice.

5 My interpretation of 1131, as I said before,
6 was focusing more on the fact that a lot of these
7 people had other sources of their pain, and I think
8 that's really driving the discordance, and it makes
9 perfect sense. People with other mechanisms of
10 their pain, people with chronic pain and maybe have
11 an element of central sensitization, et cetera, are
12 not going to respond to this very, very specific
13 targeted therapy.

14 Despite all that, I like that they landed
15 with a label that calls out discrete impingement
16 because when I first looked at this, and putting on
17 my neurology hat -- which by the way is generally
18 the front line of this condition. It's usually the
19 neurologist that screens out all these patients
20 initially, and then refers them on to
21 interventionalists.

22 My first concern was we're going to get an

1 intervention for a lot of people with diffuse
2 nonspecific back pain with disc bulging because
3 that's exactly what you see, and I think that would
4 be a pendulum that swings too far the other way.
5 But being able to specifically call out radicular
6 pain, being able to really correlate that to MRI
7 findings of discrete impingement makes clinical
8 biological sense.

9 DR. BATEMAN: I would just add to that. I
10 am a bit skeptical that what's driving the negative
11 results in 1131 is the MRI-confirmed nerve root
12 impingement versus not. If you look at the change
13 in VAS stratified by that factor, and we saw
14 table 4 from the FDA's briefing packet, the change
15 in VAS is basically the same in the two groups.
16 There are a whole host of factors that were
17 narrowed in 1133 that may account for the
18 difference in results, but I think it's more than
19 just the MRI findings.

20 Other comments? Mr. O'Brien?

21 MR. O'BRIEN: Well, I guess my only comment
22 that generated to me, specifically, is that. I

1 think, from my perspective, I represent spine
2 deformity patients. So if we're altering the
3 vertebra, I'm concerned that that population is
4 going to be getting this. And now we have the
5 mechanism of mechanical difference that's going to
6 occur in the spine, and we're going to have a lot
7 of people that are actually worse than they were
8 before. So that's a population that I'm concerned
9 about.

10 DR. BATEMAN: Okay. Any other comments
11 regarding question 1?

12 (No response.)

13 DR. BATEMAN: Okay. I think the committee
14 pointed to a number of factors that could account
15 for the difference between the two positive and the
16 negative study, 1131. The differences in patient
17 population in the initial Japanese trial, the
18 patients tend to be young, not overweight, often
19 physical laborers.

20 Moving on to 1133, the trial had quite
21 narrow inclusion criteria that were quite different
22 from 1131. That might account for the observed

1 difference. I think there was a feeling amongst
2 the committee that if you can isolate MRI-confirmed
3 nerve impingement and clear sciatic pain, that's
4 differentiated from chronic lower back pain or pain
5 for which opioids have been chronically prescribed,
6 that is a biologically plausible explanation for
7 the difference that's observed. But I think there
8 was also concerned voice that the population in the
9 negative study might reflect the population that
10 the drug would ultimately be used in more than what
11 was observed in the trials that were successful.

12 Is that a fair summary? Any additional
13 comments to add to that?

14 (No response.)

15 DR. BATEMAN: Okay. So we'll move on to
16 question number 2. Question 2, discuss what
17 concepts might be included in the prescribing
18 information to help clinicians identify patients
19 who would have a favorable benefit-risk profile if
20 treated with condoliase. The applicant's proposed
21 indication states that condoliase is indicated for
22 the treatment of radicular leg pain associated with

1 confirmed nerve root impingement caused by lumbar
2 disc herniation in adults. Does this indication
3 reflect the most appropriate patient population for
4 condoliase, and if not, what modifications could be
5 made?

6 We'll start with Milan.

7 DR. STOJANOVIC: So, to echo what was
8 discussed just recently, even with narrowing
9 indication in the last study, 33, we have a good
10 response and good results that's significant but
11 not amazing. So if you look at the categorical
12 data, you have 30-50 percent patients that
13 improved. That's a relative narrow margin between
14 placebo or sham, or treatment, but still
15 significant, and it's good. We heard the patients
16 said are good things.

17 It is good things, but if this is overused,
18 and if it goes out and starts being overused, we're
19 going to go back, even to maybe worse results and
20 start at 31. We've seen this happening, and that
21 can be bad long term for patients. Insurance
22 companies might not approve it, and it might be bad

1 for everyone, so I think we have to control this.

2 The same with impact guidance. I agree with
3 impact guidance in a sense. Yes, 10 or 1 of NRS is
4 significant, but most studies look at the minimally
5 important change, at least 2 of NRS, or 30 percent,
6 50 percent. So we have good results, but we have
7 to narrow the inclusion criteria. I agree that
8 impingement of nerve root is a good thing to stick
9 with.

10 We have to clearly convey this so insurance
11 companies can pick that up, too, and restrict from
12 predictions to getting open and doing for
13 everything. Some suggestion is maybe to do a
14 better grading of the MRI compression. There are
15 all kinds of things: anatomical, location of this
16 protrusion, and severity of this protrusion that is
17 contacting the nerve root, as we said, nerve
18 impingement.

19 As I mentioned before, complete radial tear,
20 that you have a tear and leakage of this material,
21 this indication, there should be contraindication
22 maybe. And if you consider maybe leak or a

1 questionable small tear, how about the fact a lot
2 of people have initial pain? That terrible pain is
3 because of the chemical sensitization. So should
4 these people have ESI first before going to this
5 treatment? Should this be a necessity to try
6 epidural first before getting approved?

7 We talk about the age. The older age people
8 don't do well, but I wouldn't discriminate with
9 age. We know that this degeneration is bad with
10 age, but some people, for whatever reason, genetic
11 or how they take care of themselves, they have
12 great discs still at the advanced age. So maybe
13 age of a disc would be something to look at in the
14 future.

15 Then I think it has to be clarified it is
16 not approved for cervical radicular pain. I know
17 this is assumed, is untitled, but it should be
18 clarified for thoracic because out in the practice,
19 why not trying for this before we see the studies?
20 That's what I have to say.

21 DR. BATEMAN: Thank you.

22 Dr. Sprintz?

1 DR. SPRINTZ: Thank you. Dr. Michael
2 Sprintz. I appreciate what was shared in terms of
3 both the safety and the efficacy. The two things,
4 A -- and I'm echoing some of the things that have
5 already been talked about -- I'm in agreement that
6 we need more clear indications of appropriate
7 patients, and I think the wording should definitely
8 include those who have failed conservative
9 treatment.

10 I think there is value here on a couple
11 parts. If we can delay surgery -- and, grant it,
12 the evidence doesn't show, well, we totally prevent
13 it, but the fact that you can delay it is good.
14 When I start to think about patients who fail
15 conservative therapy, I think differentiating
16 between something like ESIs versus NSAIDs would be
17 significant because I can see, in clinical
18 practice, someone's going to go, "Oh, well, have
19 you tried ibuprofen?" "Yeah, and it didn't work."
20 "Okay. Well, you failed conservative therapy, so
21 we're going to move straight to this," versus
22 someone who's like, "Yeah, I've done two ESIs. I

1 got a little bit of relief, and then none at all."

2 That's a totally different patient.

3 So, I think clarifying what we talked about,
4 I think you do need to have statements about having
5 failed conservative therapy, but I think how we
6 define that needs to be a little bit better to
7 prevent people from going, "Oh yeah, so you tried
8 some over-the-counter stuff, and that's
9 conservative therapy; therefore, we can move
10 forward based off of the indications."

11 So, that's the one piece, but I also see
12 value in the fact of what do you do for those
13 patients who may not want to do surgery or who are
14 moving towards that if you can prevent it; and
15 they're like, "Well, Doc, what are you going to
16 give me in the interim?" And inevitably, it tends
17 to be opioids. Even though it has decreased over
18 the years, we still see that.

19 So, this is another option that's a
20 non-opioid intervention that could potentially be
21 beneficial for those who have failed, I would say,
22 more interventional conservative therapy like ESIs.

1 So I definitely do see value, but I think we need
2 to include failure of conservative therapy, and I
3 think we also need to better define that. Thank
4 you.

5 DR. BATEMAN: Thank you.

6 Dr. Kirkpatrick?

7 DR. KIRKPATRICK: Thanks. Most of what I
8 was going to say has been said, but I'll add.
9 Fundamentally, the indications for this are the
10 same as doing a laminotomy for discectomy. They've
11 got to fail their non-operative care. They have to
12 have a concordant imaging to a specific dermatome
13 and myotomal radiculopathy that correlates. Those
14 are the key things that I think that the FDA team
15 can make sure that we try and incorporate. Thank
16 you.

17 DR. BATEMAN: Thank you.

18 Dr. McAuliffe?

19 DR. MCAULIFFE: Maura McAuliffe, East
20 Carolina University. We're talking about the
21 benefit-risk profile. I'm interested in women's
22 health, and there are two groups of patients we

1 haven't talked about at all that may have long-term
2 risks associated with this, one being those with
3 osteoporosis and those taking bone-modifying drugs,
4 the bisphosphonates. I don't know whether there's
5 any postmarketing data including that group of
6 patients, but it would be interesting to know for
7 labeling purposes.

8 Also, the women, what advice are we giving
9 them about becoming pregnant? I know they were
10 excluded if they were pregnant, but right about the
11 time that the third trimester hits, we have the
12 largest decrease in disc height of 15 percent. So
13 those together could set up somebody for some
14 spinal instability.

15 DR. BATEMAN: Thank you.

16 Dr. McCann?

17 DR. McCANN: Mary Ellen McCann. I just have
18 a question of the group because I think a lot of
19 you know more about laminectomies and epidural
20 injections. I had to quickly look up what ESI was.

21 DR. SPRINTZ: Sorry.

22 DR. McCANN: No, that's okay. But after you

1 have an ESI, is there a time frame before you can
2 go on to your laminectomy, or can you have an ESI
3 and then the next day get a laminectomy? And if
4 that's the case, do we need to worry about the ESIs
5 with these injections?

6 DR. KIRKPATRICK: So, my neurosurgical
7 colleagues can also chime in, but in my
8 opinion -- and it's an opinion thing; we don't have
9 research studies to show how soon after epidural
10 block, for example, or ESI, what are the
11 complications related to doing surgery shortly
12 versus long -- most of us would give it at least
13 6 weeks to see if an ESI helps at all. But that
14 also combines with the natural history because the
15 longer you wait, the better they taper.

16 DR. McCANN: Right.

17 DR. KIRKPATRICK: I don't think the
18 placement of an epidural steroid injection
19 complicates my surgery other than potentially a
20 small risk of infection because of the steroid
21 reducing the immune response.

22 DR. McCANN: Right. So I would imagine the

1 same considerations would apply to injecting a
2 substance; right? If you give steroids, you have
3 decreased inflammation, more risk for infection,
4 and then you put another foreign body in.

5 DR. KIRKPATRICK: I think we're going beyond
6 the scope of what we can consider here because they
7 obviously didn't study it. But this is a chemical,
8 and it doesn't have immune-modifying properties, as
9 far as we can tell, like a steroid would.

10 DR. McCANN: Right.

11 DR. KIRKPATRICK: So is it like having
12 another device in there? I don't think it's really
13 a device; it's a chemical. It might make it a
14 little bit different in that if you did this, and
15 then went in within a week, you may not see any
16 difference, or you may find the pain goes away
17 before you get them to the operating room.

18 DR. McCANN: Yes. My concern was,
19 basically, not that that's what this committee is
20 supposed to do, but advocate for aggressive
21 conservative therapy, and should there be a
22 timeline with that.

1 DR. KIRKPATRICK: And I agree that a
2 timeline would be a good thing to think about.

3 DR. BATEMAN: Okay. Thank you.

4 Dr. Schiff?

5 DR. SCHIFF: Yes. I very much like the way
6 Dr. Kirkpatrick just summarized a lot of
7 complexity. The indication should be the
8 indication for properly selecting patients for open
9 surgery, but I think there should be one
10 qualification on that.

11 Some of the best surgical candidates have
12 free fragments of discs, and those are the people
13 we often get a fantastic surgical outcome with.
14 That has to be qualified here because those are
15 patients for whom this shouldn't help at all. And
16 they also get into the realm of if there's a large
17 enough hole in that annulus with missing material,
18 you would anticipate you have the greatest risk of
19 extruding the drug into places it shouldn't be.

20 DR. BATEMAN: Thank you.

21 Dr. Kennedy?

22 DR. KENNEDY: Yes. I agree with most of the

1 comments made thus far, really differentiating
2 conservative care but challenging the label
3 indication. I would add two things specific to
4 this to mirror the results from the most
5 efficacious study done in the U.S., which is, I
6 would define the time frame of 6 weeks. I mean,
7 that was designed. And at a minimum I would say,
8 for those that do not have back pain greater than
9 leg pain, I might actually argue that we would
10 say -- in this criteria, because we're not
11 recreating the very rigorous criteria of the study
12 that was positive, we simply say that they have
13 radicular leg pain greater than back pain, given
14 that is a true indication of the medication.

15 DR. BATEMAN: Thank you.

16 Dr. Stojanovic?

17 DR. STOJANOVIC: Milan. Regarding
18 infection, I agree there are some guidelines on
19 that, too. We mentioned some patients who recalled
20 they got a numbness. How about the numbness and
21 weakness? A significant sensory deficit and
22 weakness, from a surgical perspective, these

1 patients might need to have a surgery first or
2 should that be indicated? That's something that
3 might be important there.

4 DR. BATEMAN: Dr. Kirkpatrick?

5 DR. KIRKPATRICK: I'm sorry. I'm taking
6 that as a give and take question. Patients that
7 come with lumbar herniated discs that have a
8 documented weakness are jumping in line. So, what
9 we'd have to tell our patients is that the longer
10 you wait on getting that strength back, the less
11 likely it is to come back. So if we get the
12 pressure off relatively early, then you have a
13 better chance of recovery. Also, we're excluding
14 from the whole discussion cauda equine syndrome,
15 which is a large herniated disc that has
16 incontinence associated with it and bilateral leg
17 pain. That's off the table, in my mind; yes.

18 DR. BATEMAN: Dr. Reich?

19 DR. REICH: Yes, two comments and one
20 suggestion. Just to echo Dr. Sprintz's view about
21 somehow incorporating failure of conservative
22 treatment makes just good medical sense, with the

1 paradox or conundrum there that ESIs have not met
2 the same registrational level of scrutiny and
3 approval that we are now considering; so I don't
4 know how you suggest or allude to a treatment that
5 hasn't itself met regulatory standards. That's one
6 thing. In terms of adding to Dr. Kennedy's point
7 about greater than back pain, I know the intent is
8 good, but, to me, that just muddles it and invokes
9 any back pain whatsoever, and I'd rather this be
10 clear radicular pain, full stop.

11 The suggestion I have, and at the risk of
12 sounding trivial and persnickety -- but that is
13 generally what neurologists are accused of -- the
14 leg technically is anything below the knee, so
15 plenty of my patients with sciatica had pain just
16 radiating into their buttock. So I wonder if it
17 would be better to just say radicular pain and not
18 make that -- I think it would be less confusing and
19 more accurate than just confining it to leg pain.

20 DR. BATEMAN: Dr. Kirkpatrick?

21 DR. KIRKPATRICK: That's a great point, but
22 that would emphasize that they need to keep the

1 indications that they used in the studies, which is
2 L4-5 and L5-S1, and not think about L2-3 or 3-4,
3 which do often stop above the knee.

4 DR. REICH: I agree with that, but S1-L5
5 radiculopathy often presents as buttock pain.

6 DR. KIRKPATRICK: Admitted. Typically, I
7 don't operate on those if they're just buttock
8 pain.

9 DR. REICH: Okay.

10 DR. KIRKPATRICK: That would take them out
11 of the consideration of this because we're looking
12 for leg pain.

13 DR. REICH: Fair enough.

14 DR. BATEMAN: Dr. Bicket?

15 DR. BICKET: Thanks. Just in follow-up to
16 that, your point about this pain radiating to the
17 buttock versus going down the leg, I think does
18 make an interesting observation about how that pain
19 is categorized and how people think of it. And
20 maybe I just wasn't clear, and I'm not sure if
21 there's the opportunity to hear from the sponsor
22 about where pain that went to the buttock would

1 have been classified, based on leg pain versus
2 buttock pain, but that could be, I think,
3 informative to our discussion now.

4 DR. STOJANOVIC: I mean, clearly, radicular
5 pain in corresponding dermatomal distribution of
6 disc herniation. I think that clarifies it.

7 DR. BATEMAN: Dr. McCann?

8 DR. McCANN: No. Sorry.

9 DR. BATEMAN: Okay. Any other comments?

10 (No response.)

11 DR. BATEMAN: Okay. I think in summary, the
12 committee felt that the benefit of the treatment is
13 going to be achieved in the population for which it
14 was shown to have benefit in the clinical trials,
15 so it's going to most closely reflect the patient
16 population that was treated and the inclusion
17 criteria for trial 1133. The observation was made
18 that closely matches the population that generally
19 benefits from surgical procedures, with some
20 caveats that if there are fragments of discs or
21 really large defects, that it might not be an
22 appropriate treatment in that context.

1 There was a strong feeling that the label
2 should include that conservative measures failed,
3 and that that should be defined with some
4 specificity what those conservative measures are,
5 and that there should be some notation of time
6 course, and perhaps even some of the specific
7 therapies that have been attempted and failed.

8 Any other key things to point out? Yes?

9 DR. SCHIFF: Yes. The issue of motor
10 weakness and sensory deficit is important because
11 those people are at high risk to delay for the
12 reasons Dr. Kirkpatrick mentioned.

13 DR. BATEMAN: Okay. Fair enough.

14 We'll move on to question number 3 then.

15 Question 3, the data support that condoliase
16 reduces radicular leg pain in a subset of patients;
17 however, digestion of chondroitin sulfate in the
18 nucleus pulposus subsequent to condoliase
19 injections results in a decrease in disc height and
20 modic changes. Given the known function of a
21 healthy disc, reduction in disc height volume may
22 result in disc-related complaints such as axial

1 back pain or might affect surgical options/
2 outcomes.

3 Discuss the clinical significance of these
4 potential unintended consequences related to the
5 mechanism of action of this product or whether
6 additional data are needed to adequately assess
7 this risk.

8 Hopefully, we'll hear from our surgical
9 colleagues.

10 Dr. Kirkpatrick? Dr. Stojanovic?

11 DR. STOJANOVIC: We saw one slide showing
12 that modic type 1 changes, numeric type 1 changes
13 and to lesser degree, too, are similar after
14 discectomy, 32 versus 25 percent. There's a
15 meta-analysis just recently published, and there's
16 another paper showing that, yes, after surgery,
17 modic changes can occur, so surgeons might comment
18 on this more.

19 I just want to comment that this is similar
20 to surgery, and surgery would be alternative
21 treatment to this, so that can be acceptable. The
22 other thing we looked at is one year after

1 procedure, it might be that long-term modic changes
2 can cause more pain.

3 In parallel to these last couple of years,
4 there have been, really, lot of data supporting,
5 actually, axial back pain and modic changes. As
6 you probably heard, basivertebral nerve ablation is
7 a new treatment. It's actually well supported by
8 studies, and maybe one of the best MRI indications
9 for treatment of axial back pain, and the evidence
10 is pretty good.

11 So devil's advocate would say that maybe
12 after years, this can develop into axial back pain,
13 and when treating radicular pain, this can occur.
14 The good part is that after surgery, this might
15 happen as well, so I just think we need to follow
16 the data along the way. This is something that has
17 to be done in studies, but in a company, or if this
18 gets approved, in some way. That's my comment.

19 DR. BATEMAN: Dr. Kirkpatrick?

20 DR. KIRKPATRICK: I've got a couple of
21 things that I think fit best into this category or
22 this question. One of them is, in the

1 understanding of my background in orthopedics and
2 spine surgery, most of our major journals don't
3 even think of reviewing articles if they don't have
4 two years of clinical follow-up after an
5 intervention. And this one is going one year, and
6 then, obviously, you have thousands in the kitty,
7 but they're not being studied. And the reason for
8 that is because arthritic changes and spine
9 spondylosis changes occur gradually over time.
10 That's just a background.

11 Next, we have the issue of putting the
12 needle in the disc, which by itself actually is a
13 model for degeneration, for inducing degeneration.
14 Nobody's talked about that. It's been in the
15 discography literature, and that's a big reason why
16 discography is way down now compared to what it was
17 10-20 years ago.

18 So we need to keep in mind that with our
19 inducing a needle, and then injecting a specific
20 thing to eat out the natural environment, which is
21 taking away the chondroitin, we're basically
22 accelerating degeneration for the short term of

1 relieving leg pain. We have no idea whether these
2 patients are going to be back-pain cripples in
3 5-10 years down the road, and without longer term
4 follow-up, we can't really determine that. Does it
5 help leg pain in the short term? I agree.

6 DR. BATEMAN: So to the last clause of the
7 question, whether additional data are needed to
8 adequately assess the risk, you're saying, yes,
9 additional data would be needed with longer term
10 follow-up.

11 DR. KIRKPATRICK: Right. This is
12 predominantly an anesthesia and drug-related group
13 that is probably used to looking at short term.
14 Unfortunately, we're looking at long term because
15 I'm going to see the patients five years after the
16 folks over in Winter Park because they're going to
17 be coming in with their back pain; actually not,
18 because they're not vets.

19 DR. BATEMAN: So we're hearing one
20 perspective, that the the follow-up data is
21 inadequate given the the changes in the potential
22 for disc degeneration or loss of disc height. Does

1 anyone on the committee have a differing
2 perspective, that the the follow-up data are
3 adequate, or additional commentary you want to
4 offer on that question?

5 DR. STOJANOVIC: It's the same as with
6 surgery, injuring the disc with surgery as well.
7 So that's why you have modic changes, alternative
8 to this treatment with surgery.

9 DR. KIRKPATRICK: Right. Modic changes are
10 part of a natural history of degeneration. The
11 question is the speed of them and the magnitude of
12 them as you go on after you've dissolved what
13 normally would have been left behind in a
14 discectomy, because we don't take all the nucleus;
15 we only take the nucleus that's in the canal
16 irritating the nerve root. As you mentioned, you
17 don't go digging around to get everything out
18 anymore. We're just doing that limited disc
19 section. So you're leaving nucleus in there that
20 still has chondroitin and still has some of its
21 hydrostatic properties, so it's not quite the same.

22 DR. STOJANOVIC: I just want to add that I

1 agree, as the study has shown increased disc
2 degeneration with discography, that might not be
3 the only reason that discography can decrease
4 utilization because discography is used for some
5 minimal invasion treatments. They are not used
6 anymore, so that's the major reason.

7 There are a lot of controversial papers on
8 this. Studies that showed increased disc
9 degeneration with discography, they used 100 PSI
10 over opening pressure. 50 PSI is used for normal
11 discography test, and probably lcc is producing way
12 less than this --

13 DR. KIRKPATRICK: I acknowledge that
14 point --

15 DR. STOJANOVIC: -- so it's not clear.

16 DR. KIRKPATRICK: -- but the issue is that
17 if I was to do a lab of degenerative disc disease,
18 what I would do is I would stick a needle in the
19 disc of the animal that I'm choosing, and it will
20 degenerate, specifically related to just having the
21 needle there; no drug at all.

22 DR. BATEMAN: So I'm hearing this is a

1 pretty significant concern, so it'd be good for
2 others to weigh in.

3 DR. SCHIFF: So I appreciate this discussion
4 a great deal, and it is important. I would ask
5 whether, if approved, can the FDA plan to collect
6 these long-term data? Remember also, these are
7 relatively young individuals, and if we're creating
8 problems we don't have evidence for now as these
9 people go through their lives, that sounds critical
10 to me. But I ask those at the table who know about
11 disc --

12 DR. BATEMAN: Dr. Doshi, do you want to
13 comment?

14 DR. DOSHI: There are different mechanisms
15 for tracking what happens after a drug comes to
16 market in terms of safety signals, and there are
17 many different mechanisms that we can do that.
18 Certainly, we are considering all of those in our
19 review of the product. But there are ways to look
20 at these things long term, yes.

21 DR. BATEMAN: Dr. Kennedy?

22 DR. KENNEDY: So, I'll weigh in and really

1 echo what's being said to this comment. This was
2 probably my major concern with this. As I
3 mentioned earlier, you are starting the
4 degenerative cascade or maybe accelerating it. I,
5 fortunately, was a little reassured. I mean, I do
6 think that the data is strong, that it does have at
7 least a modest effect on radicular pain in the
8 short term.

9 The question then comes, when we look at the
10 data on low back pain, it doesn't appear that
11 there's a big increase, at least in this short
12 duration on it, that is significant, and some of
13 the longer term studies we have on it, were
14 reassuring. That being said, I completely agree
15 with earlier comments that we're going to need 5,
16 10, 15-year data to really see the long-term
17 applications of this, and that is a very high bar
18 if that is the standard we're setting.

19 I do think you would have to really watch
20 this, and to be honest, I find myself asking the
21 question, if I had a 35 year old, or my 35-year-old
22 self when I herniated my disc, how interested would

1 I be in this, looking at the next 70 years,
2 hopefully. Over. Thank you.

3 DR. BATEMAN: A very brief comment from the
4 sponsor.

5 DR. REICH: I mean, we do have the Japanese
6 real-world data to benchmark off of, so we can --

7 DR. STAUFFER: That's what we'd like to show
8 you.

9 DR. BATEMAN: Yes. Okay.

10 DR. FUERST: Tom Fuerst, radiology. I just
11 wanted to remind the committee about the longer
12 term data that we have available. There was the
13 survey that was done from the Japanese phase 2
14 study and phase 3 study that did follow additional
15 patients out to 2 years and to 5 years following
16 their condoliase treatment; and a reminder there
17 was no increase or there was apparent benefit in
18 terms of delaying surgery in the patients that were
19 treated with condoliase.

20 On the imaging side, we didn't see any
21 additional changes, any additional loss of disc
22 height. Modic changes of type 1 became less

1 frequent, and we had more modic type 2 changes.
2 Modic type 2 changes are less commonly associated
3 with pain compared to modic type 1.

4 In addition, FDA pointed out there are
5 37 patients from the Japanese phase 3 study that
6 were looked at, at 10 years after condoliase
7 treatment. Amongst those 37 patients, five of
8 those patients had surgery discectomy after
9 condoliase treatment. Two of those were within the
10 first year; one of those was at 2 years; two more
11 were just at 5 years. One of those patients had a
12 revision discectomy at 9 and a half years, so
13 there's not a significant increase in surgeries in
14 those patients that were treated out to 10 years.

15 Looking at the imaging data, the disc height
16 from year 1 at the end of the trial until 10 years
17 advanced further, from a 15 percent loss to a
18 20 percent loss in those ensuing 9 years after the
19 trial ended; so some additional information to
20 consider in terms of long-term effects of
21 condoliase.

22 DR. BATEMAN: Okay. Thank you.

1 DR. KIM: Kee Kim, neurosurgery. I also
2 want to provide the surgical perspective because as
3 we talked about, and also committee members have
4 recognized, what we're talking about are patients
5 who have tried non-surgical treatments, who show on
6 the MRI that they have a herniated disc and clearly
7 explains their symptoms, and they are an
8 appropriate candidate for surgery.

9 So we're talking about condoliase hopefully
10 allowing patients to avoid surgery. And if you
11 look at the surgical data, and even the best hands,
12 there are going to be associated complications. If
13 you have a complication such as nerve injury,
14 that's pretty devastating. Spinal fluid leak,
15 that's another common complication. So I do want
16 to point out there are also risks associated with
17 the surgery, and those things can also lead to
18 other things in the future that was pointed out
19 that may require additional surgery, including
20 adjacent segment disease we didn't really even talk
21 about.

22 DR. BATEMAN: Okay. Thank you.

1 Other comments? Dr. Nelson?

2 DR. NELSON: Actually, for Dr. Kim and
3 Dr. Fuerst, just a follow-up on what you are
4 discussing. Explicitly in discussion question 3,
5 we talk about if condoliase affects surgical
6 options or outcomes. Sorry; you were giving us a
7 lot of data.

8 DR. FUERST: Yes.

9 DR. NELSON: I was trying to parse it as you
10 discussed it. understand that condoliase treatment
11 reduced the likelihood that they would have
12 surgery, but what were the outcomes of the groups
13 that had surgery? Were they quite similar?

14 DR. FUERST: Yes, in terms of the outcomes,
15 in terms of pain relief?

16 DR. NELSON: Well, of the subsets of both
17 groups --

18 DR. FUERST: Yes.

19 DR. NELSON: -- when they progressed to
20 surgery, were there any differences between that
21 group, between those two groups, in terms of their
22 recovery from surgery, complication rates?

1 DR. FUERST: Yes. I don't have specific
2 information about their pain outcomes, but there
3 were not differences in the AE events following
4 their surgery. We have talked about changes in the
5 vertebral body and the spine. Those are signal
6 changes in the marrow. We didn't see any
7 structural changes in the bone of the vertebral
8 body or the spine that might have prevented certain
9 types of surgeries from occurring. In the
10 postmarketing Japanese data, we don't have any
11 reports that surgeries were not able to be
12 performed after the condoliase treatment, and
13 sometimes that happened as soon as 4 weeks after
14 condoliase treatment if the patient was
15 recalcitrant to condoliase.

16 DR. BATEMAN: We'll come back to
17 Dr. Kirkpatrick.

18 DR. KIRKPATRICK: This is actually just a
19 follow-up question for the FDA team. I've been
20 working in devices, so I don't really know what
21 postmarket surveillance looks like with a drug.
22 Can you give us an idea of what that would be?

1 Because if it's not able to track people and look
2 at the fine things, I don't know how that makes a
3 difference. So can you tell us what the postmarket
4 surveillance would be?

5 DR. BATEMAN: So the question is, what would
6 postmarketing surveillance look like in a drug like
7 this, and would you be able to track for things
8 like development of pain over time, things like
9 that?

10 DR. KIRKPATRICK: Or do you just rely on
11 MedWatch reports or something like that?

12 DR. DOSHI: There are different levels of
13 postmarketing studies that can be conducted, so we
14 are considering all of the different options. It
15 could range from everything to, as you described,
16 MedWatch reports versus requiring a formal study as
17 in the form of a postmarketing requirement, where
18 it could be prospective, retrospective. The whole
19 gamut is a possibility.

20 So when we decide what kind of study we
21 would ask for or require, we really want to know
22 what is the question and is that study going to

1 answer the question that we have. This is a really
2 helpful discussion, and I really appreciate it.
3 And what we are looking to discern from this
4 discussion is whether additional data are needed,
5 and what exactly that data would be in order to
6 help us decide what these postmarketing studies
7 could look like if we were to consider them.

8 DR. BATEMAN: Great.

9 DR. KIRKPATRICK: Some specifics, he
10 mentioned that they have data on patients that had
11 surgery, so surgeries in post-condoliase patients
12 would be number one. If you could get ODIs, if you
13 can get leg pain assessment, either VAS or
14 something like that, you need to look at that at
15 2 years, 3 years, 4 years to know. And you can't
16 just do it based upon the reported surgeries
17 because patients will go from their pain management
18 doc, to another doc, to another doc, to another
19 doc, until they find one that's going to help them.
20 And how do you then track that to get back to
21 saying, "Okay. That patient failed?"

22 I would think surgeries would be number one.

1 If you can, follow them prospectively and get
2 X-rays and MRIs at probably 2 and 5 years, that
3 would be a really good combination of things,
4 saying, "Okay. Well, if they're the same, then
5 they're the same." I guess you guys as regulators
6 have to decide if that is too burdensome or not.

7 DR. BATEMAN: That's useful input to the FDA
8 in terms of potential future postmarketing
9 surveillance, but I'd ask the committee to just
10 reflect on the current state of data, whether the
11 longer term risks have been adequately addressed
12 and is the follow-up data from the Japanese
13 population reassuring.

14 DR. KIRKPATRICK: Well, I understand
15 that -- what was it? -- 10 patients were tracked,
16 and six of them had surgery. Is that what I
17 remember?

18 DR. FUERST: Out to 10 years, 37
19 patients --

20 DR. KIRKPATRICK: Okay. And how many had
21 surgery?

22 DR. FUERST: -- and five of them had

1 surgery.

2 DR. KIRKPATRICK: Thank you.

3 DR. BATEMAN: Dr. Kennedy?

4 DR. KENNEDY: I was commenting earlier on
5 future studies, and then we redirected it. But the
6 longer term studies out of Japan are reassuring,
7 maybe not completely sufficient, but definitely
8 reassuring. They do help me in some ways. I think
9 if I was looking at long-term surveillance, I do
10 think surgical rates are a key. I would ask simply
11 questions of pain scales and subsequent utilization
12 of treatments, meaning if they are needing lots of
13 treatment, opioid medications, and having lots of
14 back pain in a different way, that's really what we
15 want to know.

16 While imaging would be nice, I think this
17 technique has been demonstrated to decrease the
18 disc height, and I would expect that to be
19 decreased. It'd be nice to know subsequent
20 development of spondylosis, but you're really going
21 to take a while to develop that and figure that
22 out. It would be hard to do.

1 DR. BATEMAN: Any other comments on
2 question 3?

3 (No response.)

4 DR. BATEMAN: To summarize, I think there
5 was some concern voiced by members of the committee
6 about some of the disc changes that are observed,
7 expected changes but nonetheless changes that could
8 increase risk for future arthritic changes or
9 spondylosis. The Japanese data with longer term
10 follow-up is somewhat reassuring but perhaps not as
11 comprehensive as what we might hope. There's a
12 feeling that if this is to go to market, that it
13 would be useful to have long-term follow-up studies
14 to assess safety with respect to axial back pain
15 and other complications associated with
16 degeneration.

17 Anything else to add to those points?

18 (No response.)

19 DR. BATEMAN: I guess just adding to that,
20 the feeling that, really, short-term resolution of
21 radicular pain is good, but what we really need to
22 be looking at are changes at 2, 5, and 10 years in

1 order to fully understand the safety of the drug.

2 Okay. We'll go to question 4 next.

3 You were going to say something on 3 before
4 we move on?

5 DR. STOJANOVIC: No.

6 DR. BATEMAN: Question 4, given the route of
7 administration, the narrow population in which the
8 drug has been established to be effective, and the
9 potential risks of both the product and the
10 procedure, discuss appropriate proceduralist and
11 setting requirements to support safe administration
12 of the drug.

13 Any clarifications on this question before
14 we discuss?

15 (No response.)

16 DR. BATEMAN: Dr. Kirkpatrick?

17 DR. KIRKPATRICK: I'm sorry to keep bringing
18 different things up or being the start of it, but I
19 would like to know from the pain colleagues, do you
20 have credentialing issues in your centers? Are you
21 doing it in a clinic? Are you doing it in an
22 outpatient center, that sort of thing? They would

1 have credentialing issues and that sort of thing.

2 I would also think that an interventional
3 pain management physician would be schooled in
4 various approaches to the spine with a needle, so I
5 don't think that needs any special qualification,
6 although I'd prefer those in that profession to
7 comment.

8 Then finally, I think, as we heard, with the
9 very rare exception of an anaphylactic problem or
10 something like that, it sounds like a code cart
11 would be a minimum, with oxygen and that sort of
12 thing, which is why the setting, again, is probably
13 a big deal. Any place that's going to be able to
14 do conscious sedation probably is the key because
15 they have the ability to have a code cart and that
16 sort of thing. So I'll leave that to the pain
17 physicians to comment.

18 DR. BATEMAN: Dr. Stojanovic?

19 DR. STOJANOVIC: Yes. Thanks. I just have
20 to say, I was fortunate to have experience in
21 discs. I started doing discography, introduced it
22 to Boston and organized a large course on

1 discography in that time, as I did present a couple
2 of other procedures that we did. So I did quite a
3 few, a couple hundred discographies and other
4 treatments, and wrote review papers on this and
5 some prospective studies.

6 The procedure's relatively simple, but
7 actually it's not. It's one of the most complex
8 procedures that we have. Even technically, it
9 might be difficult, especially the L5-S1 level,
10 where you have a large iliac crest in the way, and
11 you need to use the curved needle to go around, and
12 probably use the double-needle technique in order
13 to avoid the infection.

14 The infection rates, if everything is
15 carefully done, naturally, are relatively very,
16 very low, although for discography, usually
17 intradiscal antibiotics are used. As I've seen it,
18 these studies, they use IV antibiotics and are not
19 mixing antibiotics with treatment, but the
20 infection rates without intradiscal antibiotics are
21 also very low. It's necessary to have extra levels
22 of precautions with using gowns and everything

1 else, sterile draping as opposed to other
2 procedures to avoid infection.

3 It is not a procedure that easily can be
4 done by any interventional just to jump into. It's
5 not a surgery, and I don't think any special
6 privileges, but there has to be some kind of
7 education at least warning that practitioner needs
8 education in some way to do this, cadaver courses
9 and some other ways to get to that level. They're
10 not doing it routinely. It's not just jumping and
11 doing it. That's something to think about.

12 Concerns that we heard about discography,
13 FDA changed Study 1133, as we just heard, and
14 placebo, we have saline to sham just because of
15 these concerns. So yes, it's a relatively simple
16 procedure. It's definitely simpler than surgery.
17 It looks simpler than some other procedures like
18 stimulator trial, but actually it's not. That's my
19 comment.

20 DR. BATEMAN: Other comments?

21 Dr. Sprintz?

22 DR. SPRINTZ: Thank you. Michael Sprintz.

1 Yes. Really, the only thing I wanted to state is,
2 although the majority of people probably doing this
3 procedure in the future would be interventional
4 pain providers, there are other specialists, for
5 instance, spine surgeons and others, who do some of
6 these procedures as well and are clearly capable of
7 managing it. So I just wanted to express both
8 caution in limiting the actual definition of the
9 proceduralist based on specialty because there are
10 multiple specialties that could do this.

11 Exactly what Milan talked about was, also on
12 the other end, not everyone who may be an
13 interventional pain doc has any experience,
14 especially given the fact that interventional disc
15 procedures have really fallen off, so some level of
16 training also would be helpful. Then, really, the
17 proceduralist, the ability to handle the
18 complications associated with this would be really
19 significant.

20 So either the ability to handle if something
21 goes wrong, both the hypersensitivity aspects and
22 wherever it's being done, and having the ability to

1 have resuscitative equipment I think is more
2 important than, oh, it should be a hospital, or an
3 ASC, or an office, or whatever. But the equipment
4 available wherever this is being performed needs to
5 be to a standard to do resuscitative emergencies to
6 treat anaphylaxis if that's our immediate
7 worst-case scenario. Thanks.

8 DR. BATEMAN: Thank you.

9 Other comments? Dr. Schiff?

10 DR. SCHIFF: Were any of the SCAR or
11 apparent anaphylactic reactions immediate at the
12 point of injection? I heard figures of 2 days and
13 longer to develop that. If that's the case, in the
14 29,000 patients that have been looked at
15 postmarketing, how strongly would we feel that you
16 have to have that capability at the time of
17 procedure?

18 DR. BATEMAN: Okay. A quick clarification
19 from the sponsor on the anaphylaxis piece.

20 DR. MARTIRE: Diane Martire. There's one
21 case that occurred within 20 minutes of injection.
22 The patient's blood pressure went down and required

1 epinephrine.

2 DR. SCHIFF: Thanks. That answered my
3 question.

4 DR. BATEMAN: Mr. O'Brien?

5 MR. O'BRIEN: Joe O'Brien, anecdotal and
6 personal. I've had experience personally with both
7 radiculopathy and a discogram, and I would say that
8 despite having 6 spinal fusions infused to my
9 pelvic, the most painful procedure I ever had was
10 the discogram, by far. I would not give the
11 opportunity to have condoliase because I would
12 choose surgery. But that's me. That's clearly
13 personal.

14 DR. BATEMAN: Dr. Nelson?

15 DR. NELSON: I was just going to add
16 clarification for Dr. Kirkpatrick that my
17 impression is that most pain clinics, even if they
18 are not offering sedation, do have code cart
19 capabilities and ability to treat anaphylaxis. My
20 pain clinic in particular, we don't offer sedation,
21 but we have code carts, too.

22 DR. BATEMAN: Dr. Kirkpatrick?

1 DR. KIRKPATRICK: I put it down.

2 DR. BATEMAN: Sorry. Okay.

3 Dr. McAuliffe?

4 DR. McAULIFFE: Yes, I'd like to respond to
5 that, too. Even though there are code carts there,
6 unless these places are accredited, they're not
7 practicing. They're not exercising those code
8 carts, making sure that they know what to do with
9 them; that the people there that are credentialed
10 know how to manage a crisis. So it's not just that
11 that's there; it's there and it's a credentialed
12 institution.

13 DR. BATEMAN: I have a question for members
14 of the committee. In our briefing document from
15 the FDA, they talked about the history with the
16 drug chymopapain, which if injected into the
17 subarachnoid space would cause subarachnoid
18 hemorrhage. How much of a risk of ending up
19 inadvertently in the subarachnoid space is this
20 procedure, and what are the precautions that could
21 be done to ensure that doesn't happen?

22 DR. STOJANOVIC: Yes, very low.

1 To tie up the comment of Mr. O'Brien that
2 this was the most painful procedure, actually, in
3 the very beginning when it started, it was painful
4 to use IV sedation, as you mentioned, and we
5 haven't used IV sedation almost ever. So it's a
6 highly technical procedure. Someone has to do
7 something really, really bad intrathecally.

8 But it's easy to hit the nerve root. It's
9 sometimes frustrating passing the nerve root. One
10 has to inject a contrast to see the nerve root to
11 bypass it. Some rare cases, it can be complex, so
12 it needs really high and technical expertise. If
13 it is not, it can be painful, and if it's extremely
14 not, it can result in these kinds of cases; unless
15 there is a rupture of the disc, which we discussed
16 in the very beginning, and that's where I
17 commented. So that said, it should not be done
18 with large radial tear of the annulus.

19 DR. BATEMAN: Dr. Nelson?

20 DR. NELSON: So a difference, though,
21 between, my understanding, discography and this
22 indication would be that with discography,

1 oftentimes you are attempting to ascertain which
2 disc is causing the pain, so the patient does not
3 receive sedation, whereas in this case, they could
4 receive sedation.

5 DR. STOJANOVIC: The most painful part of
6 the procedure is getting to the disc, and just
7 injecting in the disc, usually discography is done
8 3 levels, 1 and 2 controls. Two controls should
9 not cause pain. Pain that's reproduced should
10 usually be concordant, and it should not be much
11 worse than regular pain. This is not the same
12 thing where we're pushing the disc out. It's just
13 for internal sensitization of the disc, so that
14 part is not that painful.

15 DR. NELSON: I think I'm agreeing with you.
16 I'm just trying to allay Mr. O'Brien's fears that
17 this would be an extremely painful procedure
18 because you could receive sedation, and it would
19 not be attempting to provoke a concordant pain
20 response.

21 DR. BATEMAN: One more comment on this
22 question, and then we'll move on.

1 Dr. Bicket?

2 DR. BICKET: Thanks. Dr. Stojanovic's
3 comments brought up a thread that we had earlier
4 discussing about this drug product potentially
5 mixing with others, including contrast. And it
6 seems, given the individuals who would be
7 performing the procedure, that that mixing could
8 likely happen. This may just be an area of, if I'm
9 thinking about supporting the safe administration
10 of the drug, having some data or some statements
11 for the community to understand in the event this
12 drug product is approved.

13 DR. BATEMAN: Okay. Just to summarize here,
14 I think a major concern with the administration is
15 the potential for anaphylaxis. So if the drug's
16 going to be administered, it needs to be done in a
17 setting where there's the ability to treat
18 anaphylaxis, where you have a code cart and other
19 resuscitative equipment that would be required to
20 treat anaphylaxis.

21 I think there was an expression that this
22 does require some technical competence in that that

1 there would need to be courses or other venues for
2 teaching pain positions and how to safely give this
3 injection. Then finally, the point was made that
4 people may want to mix the compound with contrast,
5 so doing safety studies to ensure that those are
6 compatible would be important, given how it will
7 likely be used in routine practice.

8 Anything else that I missed?

9 (No response.)

10 DR. BATEMAN: Okay. We'll move on to
11 question number 5. Discuss the level of concern
12 for immune-related adverse reactions, including
13 hypersensitivity, anaphylaxis, SCARs, as well as
14 appropriate mitigation and monitoring strategies.

15 Any questions about the the wording?

16 (No response.)

17 DR. BATEMAN: Okay. Then, Dr. Reich?

18 DR. REICH: Just one question, a legacy
19 question from earlier on this topic, though, for
20 the sponsor. Were the SCARs all from the Japanese
21 population, and if so, was there any manufacturing
22 variances that you could characterize that came

1 from a certain batch or a certain manufacturer that
2 we're no longer using; that kind of thing?

3 DR. MARTIRE: Diane Martire. By definition,
4 these were all postmarketing events, so they are
5 all from Japan. For the solicit reports, we did
6 capture batch, and there was no correlation with
7 batch. For the unsolicited, we don't have that
8 batch information.

9 DR. BATEMAN: While you're at the
10 microphone, can I just ask, how many of the SCARs
11 came from the solicited reports?

12 DR. MARTIRE: One of the AGEP. It's about
13 half.

14 DR. BATEMAN: Half. Okay.

15 DR. MARTIRE: About half, yes.

16 DR. BATEMAN: So 2 to 3 out of 4,000.

17 DR. MARTIRE: Yes.

18 DR. BATEMAN: Okay.

19 Other comments?

20 (No response.)

21 DR. BATEMAN: So I'll ask the committee, we
22 have reports of 2 to 3 potentially

1 life-threatening, severe cutaneous adverse
2 reactions, a source population of 4,000. Is that
3 something we should be concerned about?

4 Dr. Schiff?

5 DR. SCHIFF: A related question to that is
6 how to avoid these very serious complications. In
7 the data from the company, they mentioned skin
8 testing after one of these events, which proved
9 positive and helped document that the drug appeared
10 causal. The other issue is, I don't see how you
11 can study an event that rare asking if, for
12 instance, steroid administration in advance of
13 injection is going to be preventative.

14 Would it be an option for people to opt for
15 skin testing prior to injection? And a corollary
16 question, would the skin testing potentially
17 sensitize those individuals? I don't know enough
18 about immunology.

19 DR. BATEMAN: I think it's an important
20 question, so maybe we could hear from the sponsor
21 on that.

22 DR. MARTIRE: Diane Martire. We've

1 consulted with an expert in SCAR about this, and
2 there are pros and cons about patch testing, skin
3 testing. Skin testing could potentially evoke
4 anaphylaxis in a susceptible patient, and there is
5 no standard for patch testing with a new drug, so
6 the standards for patch testing also are very
7 variable. We at this time don't have a way to
8 predict or to mitigate the risk. We see no
9 commonalities, and there are none available.

10 For the cases of AGEP, which we saw, which
11 were biopsy proven, for AGEP, there's currently no
12 known genetic marker other than perhaps a variance
13 in genetics for IL-36. It's not like some of the
14 other drugs that you have a genetic marker you can
15 test preemptively. It's not been identified to
16 date. We've looked exhaustively at this to see if
17 there is anything we can do to predict or mitigate,
18 and at this point, there is nothing certain.

19 DR. SCHIFF: Thank you.

20 DR. BATEMAN: Thank you.

21 Other comments?

22 (No response.)

1 DR. BATEMAN: The question is the level of
2 concern. So if we have an event rate of 1 in 1500,
3 1 in 2,000, what is the level of concern associated
4 with that? It's important to give FDA feedback on
5 that.

6 Dr. McCann?

7 DR. McCANN: Mary Ellen McCann. Well, I
8 think the sponsor just answered the question
9 whether there's mitigation, and I guess there's
10 not. So then the question becomes, what monitoring
11 strategies should we invoke for the future of this,
12 and open that up to the committee, or what does the
13 FDA usually do for drugs that may be at high risk
14 for SCARs?

15 FDA can answer that.

16 DR. DOSHI: So, as I was saying before,
17 there are a range of different things that we could
18 consider, but I would say that those are outside
19 the specific scope of what we're asking for here in
20 this question. What we're really looking for are
21 monitoring strategies in the moment with
22 administration of this drug. So the longer term

1 studies and questions that you're asking about are
2 outside the scope of what we are trying to get at
3 with this discussion.

4 DR. BATEMAN: Dr. Schiff?

5 DR. SCHIFF: So, I'm concerned. These are
6 serious reactions. I could envision, I don't know,
7 the next few years, a million people might get this
8 therapy. You just used a number of 1 in 1,000.
9 Ouch! A few hundred of these cases in the U.S.
10 would likely cause the FDA to think twice about
11 continuing approval. So, I don't have the answer
12 to this. I very much appreciated the doctor's
13 comment about the existing evidence and data to
14 prevent and/or mitigate these these reactions, but
15 I'm quite concerned that we're heading into larger
16 numbers with wide-scale adaptation.

17 DR. BATEMAN: Yes.

18 DR. DOSHI: There are other things beyond
19 what he was just talking about in terms of this
20 discussion, things that we have considered and will
21 be considering; as has been alluded to, what can go
22 in the labeling and what should be in the labeling,

1 and to maximize the appropriate patient population,
2 monitoring, and mitigation strategies that we're
3 talking about here.

4 The other thing that we haven't touched on
5 as much is this concept of pharmacovigilance, which
6 is a step above waiting passively for reports about
7 safety to come in, and being extra "vigilant," to
8 use the word, about particular concerns that have
9 been brought up here if the product were to enter
10 the market.

11 DR. THANH HAI: This is Mary Thanh Hai. I
12 was going to comment in the similar sense that
13 Dr. Doshi added. We understand that this is very
14 challenging because when a marketing application
15 comes in, you have very limited information
16 premarket, and these adverse events, you're correct
17 to not dismiss it. And certainly, this is
18 something that we often would definitely include in
19 labeling to make sure that a prescriber and a
20 patient are aware of the risk to weigh that with
21 the benefit. But it doesn't stop there. Once a
22 product is approved, you have adverse events that

1 have to be monitored and reported to the agency.
2 And we could actually work with the company with
3 respect to the amount of information we need, the
4 time frame in which this is going to actually be
5 provided.

6 Certainly, there have been other products,
7 as you have noted in the conversation today, that
8 have been associated with these types of reactions.
9 Luckily, they're not a lot, but they have yet
10 remained on the market, and at times, we will make
11 changes to labeling to make sure that it would be
12 appropriate with new data that come in. Thank you.

13 DR. BATEMAN: Other comments?

14 Dr. Nelson?

15 DR. NELSON: As far as immediate
16 hypersensitivity reactions, we already monitor for
17 that in interventional pain settings because of the
18 high rate of hypersensitivity reactions to contrast
19 dye, but as far as the 48 hours later type of
20 adverse reactions, it would probably be something
21 along the lines of a different strategy.

22 DR. BATEMAN: Dr. Bicket?

1 DR. BICKET: Thank you. On the topic of
2 these immune-related adverse reactions, I did
3 appreciate the presentation by the FDA and
4 Dr. Sudhakaran's insights about the relative level
5 of SCARs and other adverse reactions that happen
6 with some types of prescription medications,
7 including those that do have high rates. While we
8 have some initial data at this time, I think it
9 does have a lot of concern, though.

10 To me, this would be something that would be
11 appropriate to include, again, were you to have the
12 drug product approved, with this risk profile, to
13 then consent, and then have a discussion with the
14 patient about these potential risks and benefits.
15 But when it comes to monitoring longer term, it
16 certainly would be helpful to have greater clarity
17 about what that looks like, especially in the
18 population of patients in the United States who
19 undergo this, given we see some differences in
20 terms of the studies that have been submitted
21 relative to the performance in the Japanese
22 population. Thank you.

1 DR. BATEMAN: Dr. Kennedy?

2 DR. KENNEDY: The SCARs are a concern. It
3 really depends upon the rate of them, and I think
4 part of the question would be the comparator.
5 We're talking life-threatening reactions, and I
6 think you do have to compare this to a
7 microdiscectomy or a single-level laminectomy.
8 Those are not having 1 in 1,000 people with
9 life-threatening reactions. You can't compare this
10 to overall spine surgery. I mean, this is not a
11 3-level level fusion we're talking about. If it
12 really is 1 in 1,000 and 1 in 1500, we're going to
13 figure that out very quickly, and that's the good
14 news.

15 I did, earlier, some back-of-the-math
16 calculation, just going off of the presenters'
17 numbers, where if you estimate 250 million adults
18 in the U.S., 1 to 3 percent, and 20 to 50 percent
19 of those being potential candidates for this, and
20 then you do a 1 in 5,000 reaction, you are talking
21 somewhere between 83 and 600 reactions a year. And
22 that's off the 1 in 5,000 number, and that's using

1 those numbers; a lot of big assumptions there. The
2 beauty of it is we would know quickly, and it would
3 have to be something that we would have to follow
4 very quickly and compare it to the other
5 treatments.

6 DR. BATEMAN: Dr. Reich?

7 DR. REICH: Yes. And by other treatments, I
8 think it's fair to include oral solids, those
9 drugs, antibiotics, anticonvulsants. The rates and
10 incidence of hypersensitivity, severe
11 hypersensitivity, in those classes are nothing, so
12 they're probably in the same, if not higher, rate
13 per thousands, I would venture. That data should
14 be gettable and knowable.

15 DR. BATEMAN: Dr. Schiff?

16 DR. SCHIFF: I think his question is a very
17 good one. My impression looking at the FDA
18 document was that our currently approved
19 pharmaceuticals have a lower rate than what we're
20 now discussing in terms of these SCAR events. Is
21 that true? Do we have approved drugs that go as
22 high as, let's say, 1 in 1500?

1 DR. DOSHI: Just to clarify, actually, in
2 the briefing document -- and I'm sorry if this
3 wasn't clear from it -- the rate that we're seeing
4 here is actually comparable to approved products on
5 the market; however, Dr. Sudhakaran touched a
6 little bit on the difficulty of actually tracking
7 the true rate of these adverse reactions, both for
8 this product and for other products.

9 Now, what I will say is the other products
10 that we have, that we're comparing against that
11 Dr. Sudhakaran mentioned -- like the
12 anticonvulsants, the NSAIDs, some of the
13 others -- they have a pretty high prevalence of
14 use, so the total number of cases is going to be
15 larger. There is more of that data. At the same
16 time, the fact that they have that risk of SCAR or
17 other cutaneous reactions has not risen to the
18 level that they've needed to be withdrawn from the
19 market, so that is something that they are labeled
20 for.

21 DR. SCHIFF: A related question -- again,
22 I'm out of my lane here, but from reading your

1 documents -- is there a known higher rate in East
2 Asian populations; therefore, would we expect in
3 the more diverse U.S. population, that's an upper
4 bound and perhaps we'd see lower rates?

5 DR. DOSHI: That's possible. Something that
6 we did consider is the fact that in certain drugs
7 where there is a higher rate of these severe
8 cutaneous reactions, there is a known association
9 being of East Asian descent and having these
10 reactions; however, we don't have enough data here
11 in this population, either from Asians or
12 non-Asians, to really draw that conclusion, but
13 that's for other drugs.

14 DR. BATEMAN: It seems to me, the
15 calculation of the SCARs rate is dependent on a lot
16 of assumptions. If you look at just the 4,000
17 patients or 4,000 administrations, where patients
18 were surveyed, the rate is quite high, 1 in 1500,
19 1 in 2,000, and maybe higher than most of the drugs
20 that are on the market. If you look at the larger
21 population, the 29,000 or whatever that received
22 the drug, the rate falls, but there you're really

1 dependent on spontaneous reporting, which we know
2 is generally undercounting these kinds of adverse
3 events.

4 I guess the other thing, these severe
5 adverse events really need to be balanced against
6 the benefit of the drug. If you're balancing it
7 against the highly effective antiepileptic or
8 highly effective antibiotic, it may be a different
9 risk-to-benefit calculation than against a pain
10 treatment that has more modest benefits.

11 Other comments? Dr. Sprintz, did you have
12 your -- oh, okay.

13 Just to summarize, we had a good discussion
14 about these immune-related adverse reactions. We
15 heard from the sponsor that there currently isn't
16 an approach to pre-exposure testing that could be
17 reliably used to predict who's going to have a
18 serious adverse reaction. I think some of the
19 committee members, perhaps more than others, were
20 quite concerned about the rate, and in many ways,
21 we don't fully know the rate based on the available
22 data. I think there's a general feeling that this

1 is something that, if the drug were to be approved,
2 would need to be closely monitored in the
3 postmarketing surveillance period.

4 Additional points on this?

5 (No response.)

6 DR. BATEMAN: Okay. Then, I think we'll
7 move to our voting question. The voting question
8 before us is, do the benefits of condoliase
9 injection for the treatment of radicular leg pain
10 associated with confirmed nerve root impingement
11 caused by lumbar disc herniation in adults with
12 radicular leg pain outweigh the risks?

13 Then, after your vote, you'll be asked to
14 provide a rationale for your vote. If you voted
15 yes, specify the evidence that establishes
16 condoliase's effectiveness and safety. If you
17 voted no, provide recommendations for additional
18 data that may support a conclusion that condoliase
19 is safe and effective.

20 DR. SPRINTZ: This is not necessarily the
21 question in the total labeling because I know that
22 we've talked about changing the labeling, so I want

1 to make sure. Does our answer to this question
2 define what the labeling would be? Let's say I
3 vote yes, then that would be what the labeling
4 would be versus as we've talked about?

5 DR. BATEMAN: So I'm going to say, in your
6 comments, you can provide suggestions for what
7 might be contained in the label, if you vote yes.
8 Is that a fair --

9 (Dr. Sprintz gestures yes.)

10 DR. BATEMAN: I'm going to give the
11 instructions for the voting question. We'll now
12 proceed to our last question, question 6, which is
13 a voting question. We will be using an electronic
14 voting system for this meeting. Once we begin the
15 vote, the buttons will start flashing and will
16 continue to flash even after you've entered your
17 vote. Please press the button firmly that
18 corresponds to your vote. If you are unsure of
19 your vote or you wish to change your vote, you may
20 press the corresponding button until the vote is
21 closed.

22 After everyone has completed their vote, the

1 vote will be locked in. The vote will then be
2 displayed on the screen. The DFO will read the
3 vote from the screen into the record. Next, we
4 will go around the room, and each individual who
5 voted will state their name and vote into the
6 record. You can also state the reason why you
7 voted as you did, if you want to. We will continue
8 in the same manner until all questions have been
9 answered or discussed.

10 Any clarifications to the question before we
11 vote?

12 (No response.)

13 DR. BATEMAN: We can proceed with voting.
14 Please enter your vote.

15 (Voting.)

16 CDR BONNER: LaToya Bonner. For the record,
17 for question 6, 8 yeses, 4 noes, 0 abstain. I will
18 now turn the floor back over to our chair, so we
19 can go around the table and speak our votes and
20 justifications, if we choose to. Thank you.

21 DR. BATEMAN: We'll go left to right.

22 DR. KIRKPATRICK: I think my justification

1 was mostly in my comments.

2 DR. BATEMAN: State your name and your vote,
3 please.

4 DR. KIRKPATRICK: Sorry. John Kirkpatrick.
5 My vote was no. Most of my reasons are within the
6 comments that we've already talked about. The
7 principle is the concern for the patients
8 accelerating their degenerative process and
9 ultimately having either more back pain or more
10 degeneration, or both. It's a razor-thin decision
11 that I had to make, and I thought of the patients
12 that I see on a day-to-day basis. Degeneration
13 typically is bad, and I don't want to progress it
14 to try and treat a short-term leg pain. I also
15 assume that the FDA will take into consideration
16 the discussion that we had on the indications, and
17 making sure they're clear and very specific. Thank
18 you.

19 DR. SPRINTZ: I am Michael Sprintz, and I
20 voted yes with an asterisk in terms that I voted
21 yes, one, that the studies in 1031 and 1033 I felt
22 supported efficacy and safety. I think the biggest

1 caveat that I have on my yes is that the labeling
2 will consider a narrower scope of not only just
3 confirmed nerve root impingement, but also failure
4 of conservative therapy. And I know that there's
5 still a discussion going on in terms of back versus
6 leg pain, but I definitely think that that needs to
7 be looked at seriously before finalizing the
8 labeling.

9 I do like the the concept in terms of the
10 patient handout about hypersensitivity reaction,
11 and especially because the vast majority of these
12 reactions occur after the patient has already left,
13 1 to 2 days later, up to 8 days later. Therefore,
14 I think even though there is a level of seriousness
15 and I think the monitoring is important as ongoing,
16 I don't think the incidence was enough for me to
17 say no to that, and especially because all of those
18 were treated successfully from that. So that's
19 what I have. Thank you.

20 DR. McCANN: My name is Mary Ellen McCann,
21 and I voted yes. I think the sponsor made a case
22 for this drug being efficacious with narrow

1 indications, and I think within those narrow
2 indications, there are a group of patients that
3 just are not willing to have surgery; and for those
4 patients, I think this drug is indicated for this
5 use.

6 I do think that we need robust postmarketing
7 surveillance. The experience in Japan is only
8 7 or 8 years, maybe 10 years. I do worry about
9 arthritis, but I don't know that we have any
10 evidence yet that the arthritis or acceleration of
11 degenerative changes would be greater with this
12 versus surgery. So I think we need more data down
13 the line, but right now, I voted yes.

14 DR. STOJANOVIC: Yes. Milan Stojanovic. I
15 voted yes. Also, it wasn't an easy decision, but I
16 considered the vacuum between conservative care and
17 surgery, for radicular pain and potential
18 complications in surgery. Studies 1031 and 1133
19 show positive outcomes, but I just want to
20 highlight by a narrow margin, a very narrow margin.
21 So it was very difficult to make this decision.

22 Condoliase is minimally invasive opposed to

1 surgery. I think close monitoring, as discussed,
2 for hypersensitivity is very important, especially
3 a year or two because of numbers, as D.J. Kennedy
4 mentioned, and also long-term monitoring for modic
5 changes. My rationale will be the vertebrogenic
6 pain due to modic changes, which will be most
7 common with this, not discogenic, but
8 vertebrogenic, and is actually now in focus, in
9 large focus, so everyone is paying attention to
10 this. So it'd be relatively easier to pick up if a
11 high incidence of vertebrogenic pain occurs.

12 Although I agree with the comment that the
13 company presented, a narrow indication for this, I
14 think the indication should be even more narrow.
15 There is huge room for improvement. The biggest
16 problem with this is this can be used for anyone.
17 It's very easy to call anything radicular pain. It
18 should be radicular with corresponding dermatomal
19 distribution, clear nerve compression, not by
20 spinal stenosis; no weakness, motor, and sensory
21 deficit; minimal invasive intervention; considering
22 excluding complete radial tear and nucleus leak;

1 and considering not narrowing either the younger
2 patient population, or younger disc or relative
3 age. Then, the final two things are to make it
4 clear that this is not approval of cervical and
5 thoracic indication for everyone and the need for
6 education for proceduralists.

7 DR. McAULIFFE: Hi. It's Maura McAuliffe.
8 I voted no. I was concerned that the modest
9 benefit, as I saw it, with all the exclusions
10 surrounding the studies, especially 1133, did not
11 balance the potential acceleration of degeneration
12 in the back, and that we don't know in 2, and 4,
13 and 6 years. I'm also concerned, if this is
14 approved, that there are many, many pain clinics
15 throughout the United States. They are not the
16 same. They are not staffed the same way. They are
17 not accredited the same way, and I'm concerned
18 about where this drug is going to be given, in
19 offices here or there, and that needs to be looked
20 at. Thank you.

21 MR. O'BRIEN: Joe O'Brien, and I voted yes;
22 and oddly enough, all of the same reasons that she

1 voted no.

2 (Laughter.)

3 MR. O'BRIEN: I think at the end of the day,
4 in looking at it, there is a real need out there.
5 There's clearly a cohort of patients defined very
6 narrowly here. So if we're looking at the question
7 very narrowly for that cohort of patients, I've
8 been there, I've dealt with it, I know what it's
9 like on their activities of daily living. There
10 needs to be another tool in the armament of
11 non-operative care. I think that's very valid to
12 have.

13 On the other hand, as I said in my comments
14 earlier, I am definitely afraid that the negative
15 1131 study is an omen of things to come. Even if
16 you took all 4 pages on 1133 and put that into a
17 labeling, that is not going to prevent this from
18 proliferating well beyond what the patient should
19 be; and we don't know what's going to happen,
20 except for the negative study that we see in 1133,
21 and I am deathly afraid of if that happens. So I
22 think, as much as possible, to keep it within that

1 very narrow definition of what we have in the
2 patient population. I just don't see how that's
3 going to happen.

4 DR. BICKET: Hi. I'm Mark Bicket. I wanted
5 to thank everyone for the opportunity to review
6 these studies, presentations today, and the hard
7 work from the sponsor, the perspective from the
8 FDA, and I think also the insights from many of the
9 members of the committee, which I really respect.
10 It's an honor to learn from everybody here today.

11 I voted yes, and I believe the product does
12 address an unmet need and the treatment pathway of
13 lumbar disc herniation for patients who haven't
14 adequately responded to conservative therapy of at
15 least 6 weeks and who may be considering surgery
16 but may not be eligible for that, or may not wish
17 to pursue that. I do think the product has the
18 potential to be meaningful for patients, in
19 particular, given that there are many reasons that
20 some may not be able to pursue surgery.

21 The two studies, to me, do appear to support
22 the use of the products in a select patient

1 population with lumbar disc herniation. I did find
2 the learnings from the negative study to help
3 inform the label to be very challenging and would
4 instead likely rely on characteristics from the
5 positive study done for persons in the United
6 States, 1133, to be more appropriate in terms of
7 making modifications to the label.

8 It was brought up earlier about the type of
9 herniation, and that's very important to clarify.
10 We had a couple clarifying comments about different
11 aspects of the spinal, neuroforaminal, the canal
12 anatomy and its impact in terms of patient
13 selection, and I do believe that those are
14 important. Things that need to be clarified, in
15 particular, when we think about selecting patients
16 for the procedure.

17 I do think safety concerns -- we've
18 discussed them -- the back pain, in particular,
19 about the week after injection, was important and I
20 think appropriate to include in the label, these
21 immune-related adverse reactions and MRI X-ray
22 abnormalities. Those modic type 1 and type 2

1 changes, I think we still have a lot of questions
2 in the field about those, and here. I wouldn't
3 necessarily expect the sponsor to answer all those,
4 but those to be worthy of study in postmarket.

5 Overall, to me, again, it's just the balance
6 of these benefits and risks appearing appropriate
7 for a select group of persons who have lumbar disc
8 herniation, and then failing conservative therapy.
9 I certainly do believe some changes do need to be
10 made, and with these suggestions, I think that the
11 task is not enviable and may be back on the FDA to
12 work it out with the sponsor as it comes to that.
13 Thank you.

14 DR. SCHIFF: Steven Schiff. I voted yes,
15 taking into account all the reasons that we as a
16 group have voted both yes and no. I think with the
17 highly restricted data, this shows benefits greater
18 than risks. I think that the benefits are
19 relatively modest but, impressively, it decreased
20 the number of subjects that felt the need to go on
21 to surgery. I would approve this, if it is
22 approved, with as much restriction to be aligned

1 well with what the clinical trial that was
2 successful used because those form the best data we
3 have for outcome benefits that are worth the known
4 risks.

5 I also think, just as with lumbar spine
6 surgery, it is broadly overused for weaker
7 indications than were used in this clinical trial
8 that was positive. I believe this drug will likely
9 also be overused well beyond the indications,
10 however they're specified. And if I were to choose
11 between patients getting overused lumbar disc
12 surgery versus overused minimally invasive
13 injection, based on what we know today, without the
14 long term effect on the spine and without an
15 adequate follow-up for these severe
16 hypersensitivity reactions, I think I'd rather see
17 people get an injection than an inappropriate open
18 surgical procedure. So that forms my rationale,
19 and I voted yes.

20 DR. JOWZA: Hi. Maryam Jowza. I voted no,
21 and it was not an easy no. I went back and forth
22 quite a bit in my head. Part of the reason I went

1 with no at the end was the indication is quite
2 narrow, and the effect, even with the very
3 restricted study, is still fairly modest. And then
4 when I weigh that against the potential risks for
5 accelerated spine degeneration and issues down the
6 line, on top of the possible adverse reactions that
7 can happen with the rate of, quoted, "anywhere
8 between 1 and 5,000 to 1 in 1,000," that made me
9 say no. I think if I had more long-term data, that
10 would have swayed me towards a yes.

11 DR. NELSON: Ariana Nelson. I voted yes,
12 and I did so because my largest concern coming into
13 this meeting was the degeneration of the disc, and
14 I feel that through our discussions, my fears have
15 been somewhat allayed in terms of the fact that the
16 risk of modic changes is probably similar to even
17 very minimally invasive surgery.

18 So my caveat would be, I think that the
19 indication should specify that the patient not only
20 failed conservative management as a broad vague
21 comment, but that they failed 6 weeks of
22 conservative management, which is a similar

1 indication that's required for authorization for
2 radiofrequency ablation of the lumbar facet joints,
3 for example.

4 I also did not feel that there was a safety
5 concern that some brought up about clinicians not
6 being adequately procedurally adept to perform this
7 procedure, especially with increasing adoption of
8 the advent of basivertebral nerve ablation. I
9 think the psychomotor component of the procedure
10 will be more common, and we'll have an increasing
11 number of clinicians that are very facile.

12 Lastly, the concern with safety for
13 hypersensitivity reactions and SCAR, contrast dye,
14 which we use for nearly 100 percent of our
15 procedures, has twice the rate of hypersensitivity
16 reaction of what has been reported here today. So
17 already in pain clinics, there is ample monitoring
18 for immediate hypersensitivity reactions. There
19 may be a higher rate of delayed hypersensitivity
20 reactions. That's something that we should also
21 take into account and monitor with this new drug.

22 DR. BATEMAN: We'll now go to Dr. Kennedy.

1 DR. KENNEDY: D.J. Kennedy. I voted yes.
2 Before I get into the reasons, I do want to thank
3 the sponsor specifically. This kind of work is
4 challenging, difficult, and they did a series of
5 good studies that represent good and honest
6 science. I also thank the FDA for distilling this
7 in a very usable format.

8 My yes vote was razor thin, as for all the
9 reasons that have been expressed around the table,
10 predominantly small effect sizes and concerns of
11 adverse reaction. I did vote yes because I felt it
12 was a tool for good doctors and a subset of
13 patients, and I didn't want to regulate, even
14 though less scrupulous doctors may be more common
15 than we think. The data for effectiveness wasn't a
16 very small subset of patients. Even though I see
17 patients like this regularly, I personally won't be
18 using this until I get a little bit longer term
19 data on it so that I can effectively counsel my
20 patients.

21 I think there are concerns over labeling. I
22 would agree with the comments before in terms of

1 6 weeks failed conservative character and radicular
2 pain are the indications because this can be
3 broadly applied given the prevalence of disc
4 pathology on asymptomatic MRIs that can be
5 confounding variables.

6 I do have specific concern over significant
7 reactions of SCARs and long-term degeneration, I
8 think both of which should have specific post hoc
9 marketing plans in place. They would be different
10 because of the time frames for them. The SCARs
11 should be readily figured out very quickly given
12 the numbers we're talking about in the United
13 States; that there's a difference in genetic
14 predisposition in the replicated data.

15 Lastly, I do firmly believe that this will
16 be overutilized. Unfortunately, I don't think
17 that's a unique statement in the spine world, as
18 almost every treatment we have is probably
19 overutilized, and some. But I appreciate the
20 panelists and sharing the panel with the rest of
21 the panel. I learned from all of you, and thank
22 you for your time and facilitating me being remote.

1 DR. BATEMAN: Before I read my vote into the
2 record, I just want to thank the panelists for a
3 really excellent discussion and really thoughtful
4 comments during the day. I want to thank the
5 sponsor and the FDA for really outstanding
6 presentations. I think this was a good meeting
7 where we gave some really helpful feedback to the
8 FDA.

9 Brian Bateman. I voted no. It was a very
10 close vote for me. I agree there is an unmet
11 clinical need, and many of the patients who will
12 potentially receive this medication would otherwise
13 undergo surgery, which has obvious inherent risks.
14 That said, ultimately balancing the safety
15 concerns, which are not fully characterized at this
16 point, against the modest and, in many cases,
17 unclear benefits of the the drug tilted my vote to
18 a no at this point.

19 I'm quite concerned about the rate of SCARs
20 in the surveyed population of 4,000. There were
21 2 to 3 SCAR events, so a rate of 1 in 1500, 1 in
22 2,000, for a life threatening complication, that's

1 really quite a high rate, and I think that's
2 something we need to get a better handle on. I
3 agree with a lot of the concerns that the panel has
4 raised around disc degeneration and the need for
5 more robust long-term follow-up.

6 I'm concerned, like the other panelists
7 expressed, that the population that will ultimately
8 receive this may look a lot more like 1131 than the
9 population that was enrolled in 1133. I think it's
10 really going to be incumbent, if the FDA moves
11 forward, to specify the indication in a very clear
12 way, hopefully in a way that aligns quite closely
13 with the inclusion criteria for the U.S. trial that
14 did show benefit, as well as with a clear statement
15 that patients need to fail conservative management
16 prior to receiving this medication.

17 So with that, before we adjourn, are there
18 any last comments from the FDA?

19 DR. DOSHI: No, but I wanted to take this
20 opportunity to thank everybody here in this room
21 for a really robust and helpful discussion that
22 will really help guide us as we continue with our

