

UNITED STATES FOOD AND DRUG ADMINISTRATION

FDA PUBLIC WORKSHOP:
APPROVAL OF NEW PATCH TESTS FOR THE DIAGNOSIS OF
ALLERGIC CONTACT DERMATITIS

Washington, D.C.

Thursday, October 23, 2025

[This transcript has not been edited or corrected,
but appears as received from the commercial
transcribing service. Accordingly the Food and
Drug Administration makes no representation as to
its accuracy.]

1 A G E N D A

2 Epidemiology and Impact of Allergic Contact
3 Dermatitis

4 Welcome & Moderator:

5 DAVID KASLOW, MD
6 Director, Office of Vaccines Research and Review,
7 CBER, FDA

8 Epidemiology and Pathophysiology of Allergic
9 Contact Dermatitis (ACD)

10 ALISON EHRLICH, MD, MHS
11 Ehrlich Dermatology, Chevy Chase, MD

12 Occupational Contact Dermatitis

13 KARIN PACHECO, MD, MSPH
14 National Jewish Health, Denver, CO

15 Economic Burden of Allergic Contact Dermatitis

16 JENNIFER CHEN, MD
17 Stanford University, Stanford, CA

18 Contact Dermatitis in Children

19 JIADE YU, MD MS
20 Virginia Commonwealth University, Richmond, VA

21 Patient Experiences - Video Testimonials

22 Q & A

DONALD BELSITO, MD
Columbia University, New York

MARCELLA AQUINO, MD
Brown University Health, Providence, RI

1 Regulation of ACD Diagnostics

2 Moderator:

3 SHARON TENNANT, PhD,
4 Acting Director, Division of Bacterial, Parasitic
5 and Allergenic Products, Office of Vaccines
6 Research and Review, CBER, FDA

7 Regulation of ACD Diagnostics in the United States

8 RONALD RABIN, MD
9 CBER, FDA

10 Regulation of ACD Diagnostics in Canada

11 JOEL DEKOVEN, MD, MHS
12 Sunnybrook Health Sciences Centre, Toronto,
13 Ontario, CA

14 Regulation of ACD Diagnostics in the European
15 Union

16 VERA MAHLER, MD
17 Paul-Ehrlich-Institut, Langen, Germany

18 FDA's Real-World Evidence (RWE) Program - CBER
19 Perspective

20 YUN LU, PhD
21 CBER, FDA

22 ACD Panels

Moderator:

ERIN WARSHAW, MD, MS;
University of Minnesota; Minneapolis, MN

Designing ACD Patch Tests for Maximum Sensitivity
and Specificity

CURT HAMANN, MD
SmartPractice

1 Patch Tests Available in the US, Canada, and the
2 EU

3 AMBER RECK ATWATER, MD
4 George Washington University Hospital,
5 Washington, DC

6 Comprehensive Patch Testing in the US

7 BRUCE A. BROD, MD, MHCI
8 University of Pennsylvania, Philadelphia, PA

9 Alternative Diagnostic Tests for ACD

10 LUZ FONACIER, MD
11 New York University, New York

12 Gaps in ACD Patch Testing

13 ALEXANDRA FLAMM, MD
14 New York University, New York

15 Q & A

16 JAMES S. TAYLOR, MD
17 Cleveland Clinic, Cleveland, OH

18 JOSEPH FOWLER, MD
19 University of Louisville, Louisville, KY

20 Closing Remarks

21 RONALD RABIN, MD
22 CBER, FDA

* * * * *

1 P R O C E E D I N G S

2 (8:30 a.m.)

3 DR. KASLOW: Good morning and welcome to
4 today's FDA Public Workshop on the Approval of New
5 Patch Tests for the Diagnosis of Allergic Contact
6 Dermatitis, or ACD. I'm David Kaslow. I'm
7 director of the Office of Vaccines Research and
8 Review here at CBER, and I'm pleased to open
9 today's discussion on this important regulatory
10 topic on behalf of the team here at CBER who
11 actually overcame a number of challenges to make
12 this workshop happen during an ongoing lapse in
13 appropriations.

14 So I suspect all in attendance are aware
15 that allergic contact dermatitis affects
16 approximately 15 percent of the population and
17 represents a significant burden on our healthcare
18 system. I also suspect all of you know that
19 accurate diagnosis is essential for effective
20 patient management, as avoidance of identified
21 haptens remains the cornerstone of treatment. I
22 also suspect all of you know that there are over

1 4,000 substances that can cause ACD and that the
2 American Contact Dermatitis Society core allergen
3 series identifies 100 prevalent core allergens
4 recommended for comprehensive testing.

5 Today we have licensed patch tests that
6 cover a little more than a third of those core
7 allergens. So we are here to discuss the
8 significant diagnostic gap in licensed patch tests
9 for the remaining core -- prevalent core haptens.

10 As you'll hear, despite most patch tests
11 delivering small molecule entities, patch test
12 allergens are currently regulated as biologic
13 products under Section 351 of the Public Health
14 Service Act. And since a 1986 Federal Register
15 Notice, any chemical or reagent intended for
16 commercial marketing and used for patch testing in
17 humans requires licensure as a biologic product.
18 To be licensed as a biologic product, patch tests
19 must meet the regulatory standard showing that the
20 products are safe, pure, and potent, with potency
21 generally considered to be demonstration of
22 substantial evidence of effectiveness and

1 substantial evidence of effectiveness generally
2 established through adequate and well-controlled
3 clinical investigations.

4 With this current landscape in mind, it
5 seems we face at least four key interconnected
6 topics that this workshop aims to discuss.

7 First, the current clinical trial
8 paradigm. We acknowledge that the traditional
9 phase and 3 clinical trials, while appropriate for
10 many biologics, presents challenges for patch test
11 allergens. Many individual allergens affect a
12 relatively small patient population, making
13 large-scale recruitment challenging, and we also
14 recognize that the number of studies and
15 regulatory submissions required to individually
16 evaluate each one of those core prevalent haptens
17 is daunting to consider.

18 Second, the absence of gold standards.
19 Unlike many diagnostics tests, patch testing lacks
20 a definitive standard of truth or surrogate
21 comparator. Clearly, this impedes traditional
22 sensitivity and specificity determinations and

1 leads to considering less optimal alternative
2 endpoints, such as positivity ratios and reaction
3 indices.

4 Third, the unique benefit risk profile.
5 Patch test haptens are applied topically to small
6 skin areas, typically once in a patient's lifetime
7 with minimal systemic absorption. The safety
8 profile of patch tests differ markedly from most
9 other biologics, which needs to be considered in
10 clinical safety evaluation and safety database
11 expectations.

12 Fourth, the economic realities. We
13 recognize challenges faced with navigating costs
14 associated with adequate, well-controlled clinical
15 investigations, with current PDUFA fee structures
16 and other barriers for patch tests that may have
17 limited market potential.

18 So the goal of today's workshop is to
19 discuss these interconnected topics and explore
20 paths forward that maintain scientific rigor while
21 addressing practical challenge. The three
22 sessions in today's workshop are designed to,

1 first, review the current evidence based through
2 presentations on epidemiology, occupational
3 contact dermatitis, economic burden, pediatric
4 considerations, and the experience of the patient.
5 And the goal of this session is to establish the
6 clinical and public health imperative of for
7 expanded patch test availability.

8 Second is to examine the regulatory
9 approaches both here in the U.S. and
10 internationally with presentations from CBER and
11 our Canadian and European colleagues. And the
12 goal in this session is to paint as clear a
13 picture as possible of how regulatory agencies are
14 addressing the current challenges of expanded
15 patch test availability, including the use of
16 real-world data and evidence.

17 And third, to discuss practical
18 implementation through an industry perspective and
19 review of current testing practices, gaps, and
20 alternative diagnostic approaches. And the goal
21 in this session is a candid discussion of the
22 current realities on the ground faced in

1 addressing the significant diagnostic gap in
2 license patch test for the remaining prevalent
3 core haptens and ideate on ways forward.

4 A key desired outcome to today's
5 discussion is to hear from you. We need your
6 expertise to help us understand where current
7 regulations may be burdensome and where
8 flexibility can be introduced without compromising
9 safety or effectiveness. We're interested in
10 hearing how to adapt traditional clinical trial
11 requirements to the unique characteristics of new
12 patch tests for the diagnosis of the ACD, while
13 maintaining the scientific rigor that ensures
14 accurate diagnosis and meets regulatory statutes
15 and standards.

16 The path forward, undoubtedly, will
17 require collaboration among all stakeholders,
18 clinicians, researchers, industry, patients, and
19 regulators. So as part of that collaboration and
20 path forward, your participation today is an
21 important opportunity to take a critical step
22 toward ensuring that healthcare providers and

1 their patients with allergic contact dermatitis
2 have access to comprehensive tools they need.

3 Before we begin our sessions, just a few
4 housekeeping items to ensure our virtual day to
5 today runs smoothly. Please use the chat box for
6 questions throughout the presentation. We'll
7 address as many questions as time permits during
8 each session and our panelists will be available
9 for discussion periods. Please send any
10 additional questions that occur to you after
11 today's event to CBERPublicEvents@fda.hhs.gov with
12 the subject line ACD Workshop. Please note that
13 the workshop is being recorded and will be web
14 hosted.

15 Finally, I want to acknowledge the
16 tremendous effort that has gone into organizing
17 today's workshop. From CBER, I want to thank
18 Sharon Tennant and Ron Rabin from our Office of
19 Vaccines Research and Review, as well as Loni
20 Warren Henderson and Stacey Rivette from our
21 Office of Communications, Outreach and
22 Development. And we are also grateful for our

1 academic partners, Jeff Yu at the Virginia
2 Commonwealth University and Dr. Alexandra Flamm
3 from New York University. Their expertise and
4 collaboration have been essential in today's
5 agenda.

6 So as we embark on today's discussion, I
7 want to emphasize that our mission here in OVR
8 remains unchanged, and that is to protect and
9 enhance public health and public trust through
10 both regulation and research. Assuring available
11 regulated products such as patch tests are and
12 remain safe, pure, and potent for their intended
13 uses. We recognize that inflexibility may not
14 always serve patients best. And today represents
15 an opportunity for all of us, regulators,
16 industry, academia, clinicians, and patients, to
17 work together toward new ideas and solutions that
18 serve patients while maintaining the safety and
19 effectiveness standards they expect and deserve.
20 I am personally excited about the discourse ahead
21 today and look forward to the insights you'll
22 share. So thank you again for joining FDA today.

1 And without further ado, let's get to
2 work. So first up on the agenda is a presentation
3 on "Epidemiology and Pathophysiology of Allergic
4 Contact Dermatitis." That will be presented by
5 Dr. Alison Ehrlich, who is a board-certified
6 dermatologist and is the former founding chair and
7 director of clinical research and in the
8 Department of Dermatology at Georgetown
9 University, who is now in private practice in
10 Chevy Chase, Maryland.

11 Alison, to you.

12 DR. EHRLICH: Yeah, can you hear me now?

13 DR. KASLOW: Great. Yes, we can.

14 DR. EHRLICH: Okay. Good morning,
15 everybody. Sorry for the audiovisual issues.
16 Apologize on that end. Today I'll be talking
17 about history, epidemiology, and pathophysiology
18 of patch testing. Next slide. Are you all
19 advancing for me?

20 DR. KASLOW: Yes, we are.

21 DR. EHRLICH: Okay, next slide. So
22 there is some animation in here, but we'll get to

1 that.

2 Okay. So this is an overview of what
3 I'm going to talk about today. I think you just
4 jumped ahead. Can you just get back? Thank you.
5 I'll just tell you one to advance, please.

6 Okay. So I'm going to be going over
7 what are allergens. So I think it's really
8 important for everybody to understand that we're
9 talking about topical allergens. We're not
10 injecting allergens into the skin. These are all
11 topical. We'll be talking about what is patch
12 testing, the difference between allergic and
13 irritant reactions. So we, when we are patch
14 testing, are testing for allergic reactions. We
15 are not trying to figure out what is causing an
16 irritant reaction. It's the allergic reaction
17 we're going for.

18 I'm going to talk about the history of
19 patch testing. I feel that this is very important
20 because patch testing has a long history of use
21 and this tells us a little bit about the safety
22 track record for patch testing. I'm also going to

1 talk a bit about the epidemiology of patch
2 testing. Next slide. Next slide.

3 Thank you. So what are we doing with
4 patch testing? We are testing for delayed type 4
5 cell-mediated hypersensitivity, so we are applying
6 topical allergens. This is very different than
7 prick or scratch testing. So prick or scratch
8 testing, in that case, you're testing for
9 immediate type IgE hypersensitivity. So we're
10 talking about two very different
11 pathophysiologies, different types of testing. So
12 it's important to know the difference. Next
13 slide.

14 So while we're -- thank you. So,
15 topical allergens, these are low molecular weight,
16 less than 500 daltons. They're lipophilic. So
17 what's important about that? So being small and
18 lipophilic, they can cross the epidermal barrier
19 and they're able to interact with the immune
20 system of susceptible individuals. So this is
21 what we use for acquired immune-related
22 inflammatory reaction testing for type 4 testing.

1 So some of the things we're going to be testing
2 for would be things like hair dyes, fragrances,
3 preservatives. That's very different than what we
4 would be tested for with intradermal testing.
5 Intradermal testing, you're working up patients to
6 see if they have allergies to things such as dust
7 mites, ragweed, different foods. Next slide.

8 So there are two phases with the
9 development of allergic contact dermatitis. So we
10 think of the sensitization phase and then the
11 elicitation phase. So this helps to explain why
12 with delayed type hypersensitivity reactions, you
13 don't necessarily develop a reaction with the
14 first exposure. During the sensitization phase,
15 epidermal antigen presenting cells, such as
16 Langerhans cells and dermal dendritic cells,
17 present low molecular weight haptens to T cells in
18 a draining lymph node in association with major
19 histocompatibility complex class 1 and 2 where
20 they prime naive T cells. Then T cells
21 differentiate into effector or memory cells.

22 And, pardon me, the activated T cells

1 then travel between the lymph nodes and the skin.
2 Sensitization can take 10 to 15 days.

3 During the elicitation phase. Now, an
4 example of this is this patient that was a butcher
5 developed an allergy to rubber accelerators in his
6 rubber gloves and was chronically developing worse
7 and worse dermatitis while at work, so he had
8 significant occupational dermatitis. So during
9 the elicitation phase there's a re-exposure to the
10 same hapten, in this case with the butcher the
11 rubber accelerator. And this induces antigen
12 presenting cells to present the hapten protein
13 complexes to memory and effector T cells.

14 Additionally, keratinocytes and
15 Langerhans cells secrete pro-inflammatory
16 cytokines, such as IL1B, TNF-alpha, along with
17 chemo attractants as part of the innate response.
18 As a result, T cells travel to the skin and
19 there's an increase in the proliferation of
20 antigen in specific T cells leading to this
21 cutaneous inflammatory reaction and upregulation
22 of the immune system, which results in dermatitis

1 that we see clinically. Next slide.

2 So there's some animation here, so you
3 can click so it all comes on. I think that's
4 going to be easier in this situation. So patch
5 testing, what do we use it for? By reproducing
6 the elicitation phase for specific allergens,
7 we're trying to discover what are the culprits
8 that are causing dermatitis in a specific patient.
9 Can you click on the animation so the rest of the
10 slide pops up?

11 Thank you. So we're looking to improve
12 -- so we're looking for causative agents of
13 presumed allergic contact dermatitis in patients
14 who have recurrent dermatitis. We're also looking
15 to help patients who have chronic dermatitis, such
16 as preexisting chronic dermatitis, such as atopic
17 dermatitis, who are now presenting with increased
18 flares in specific areas or worsening dermatitis.
19 And we're looking to figure out why is it
20 worsening even though we're treating it?

21 We're also hunting for causes of
22 occupational dermatitis and also drug-induced

1 dermatitis. So there are definitely situations
2 where patients have been on several different
3 drugs, they're developing a skin eruption and they
4 need to be on a specific drug. And it's really
5 important to figure out what is causing that skin
6 reaction.

7 So there are several different
8 situations in which patch testing is incredibly
9 useful in the clinical setting. And I think one
10 really important take-home point on the utility of
11 patch testing is definitive diagnosis through
12 patch testing has a really critical and positive
13 impact on the quality of life of our patients with
14 dermatitis and also can be very cost-effective in
15 working up patients for recurrent dermatitis.
16 Next slide.

17 So it's important to understand that
18 with patch testing, again, we're really trying to
19 test for allergic contact dermatitis, not irritant
20 contact dermatitis. So what is the difference?
21 So with allergic contact dermatitis, there are
22 cutaneous sensitizers such as topical allergens,

1 and they cause delayed skin reactions, not
2 necessarily after -- always after that first
3 exposure. They also can cause reactions not only
4 in the area where the skin was exposed. You can
5 get generalized reactions. You can also get
6 airborne allergic contact reactions. With
7 irritant contact dermatitis, this is very
8 dependent upon the direct interaction with the
9 skin at the site of contact. And can you click
10 again? There is an additional little point that
11 needs to go on this slide, please.

12 This is dependent on the intensity and
13 also the time duration of reaction. So example,
14 again, going back, patient with like Rhus
15 dermatitis, poison ivy dermatitis, so that they're
16 directly in contact with that plant and developing
17 dermatitis. We're not patch testing for Rhus
18 dermatitis, but that is an example of allergic
19 contact dermatitis. Example, hand irritant
20 contact dermatitis, bartenders, housekeepers,
21 chronically getting their hands wet for many hours
22 at a time and potentially working with irritating

1 chemicals, so that chronic exposure with wet work
2 breaks down the skin barrier and they frequently
3 will develop dermatitis. Next slide.

4 So the history of patch testing is
5 really quite fascinating. Patch testing has been
6 around for many years. So in 1895, Josef
7 Jadassohn presented a paper on the functional skin
8 test, which was the original patch test, at the
9 5th Congress of the German Society of Dermatology
10 in 1895 in Graz, Austria. So this was considered
11 the birth of patch testing. Marion Sulzberger
12 went to Europe and worked with Jadassohn and then
13 came back to the United States. He published the
14 first major publication in the States, "Allergic
15 Contact Dermatitis: The Contact or Patch Test and
16 Dermatitis and Dermatology," with Fred Weiss.

17 In 1937, Bruno Bloch presented work on
18 standardized gradation of reactions and allergens.
19 And one of his students, Paul Bonnevie, in the
20 1930s expanded upon Bloch's work and proposed
21 standardized series of testing substances to
22 establish the etiology of contact dermatitis. In

1 the early '40s, Chase and Landsteiner presented
2 work on contact allergy and delayed type
3 hypersensitivity using guinea pig models showing
4 naive lymphocytes could be transferred. In 1962,
5 the Scandinavian Committee for Standardization of
6 Routine Patch Testing was created. This led to,
7 in 1966, the International Contact Dermatitis
8 Research Group was formed and this was significant
9 towards standardization of patch testing. Next
10 slide.

11 In the United States, while we know that
12 Sulzberger started clinics at NYU, the North
13 American Contact Dermatitis Group became a
14 committee under a subset of the American Academy
15 of Dermatology, the DSI, in the 1980s. In 1988,
16 Bob Adams ran the first symposium on contact
17 dermatitis. Later that year, there was an AAD
18 committee that was formed by members of the NACDG
19 and guided by Robert Rigel. And then in 1989, the
20 first meeting of the American Contact Dermatitis
21 Society was held. Next slide. Next slide.

22 So we're changing gears a little bit and

1 talking about what is tested with. So the TRUE,
2 thin-layer rapid use epicutaneous, Test was first
3 approved 1994 in the U.S., and this had 24
4 allergens and 1 negative control. It was expanded
5 in 2017 for children and additional allergens, 35
6 allergens and 1 control, and designated as an aid
7 for the diagnosis of allergic contact dermatitis.
8 Next slide.

9 Can you just keep on clicking so the
10 whole slide appears, please? Thank you. So
11 additional testing that is done includes the North
12 American Contact Dermatitis Group series and the
13 ACDS core series. 1972, first NACDG tray was
14 introduced with 19 allergens. That was expanded
15 in 1981 to 20 allergens. The current standard
16 tray has 80 allergens. And the ACDS has published
17 on a core series that would allow dermatologists
18 to do a broad testing for patients. That was
19 first introduced in 2009 with 80 allergens and
20 expanded in 2020 to 90 allergens. Next slide.
21 Next slide. Next slide.

22 DR. KASLOW: I'm on the next slide.

1 DR. EHRLICH: Okay, thank you. In 1970,
2 the ICDRG published on a grading system for patch
3 test reactions. This included several different
4 categories of reaction, starting from doubtful to
5 a very strong reaction. Two other categories,
6 irritant reaction and not tested, were discussed.
7 Next slide.

8 This was actually expanded in 2024,
9 which I'll go over. Okay, great. Thank you. Can
10 you please click? There are several things that
11 become highlighted.

12 So the key things to recognize on the
13 expansion. For more detail -- can you please keep
14 on clicking? There are some red circles that come
15 up. More detail on downfall and weak reactions to
16 reduce confusion between these two categories.
17 And then a new category was added which dealt with
18 presently unclassifiable reactions. These are
19 reactions that spread beyond one category. Next
20 slide. The original -- next slide.

21 The original relevance was published
22 early in 2001, looking at definite, probable,

1 possible, unknown, or past. So relevance is
2 actually very important for helping to counsel
3 patients on the importance of which allergens are
4 going to be key and critical for resolving their
5 dermatitis. Next slide.

6 So as far as epidemiology of skin
7 disease, this is looking at AD burden of skin
8 disease publications, 85 million Americans, 25
9 percent of the population, 1 in 4 individuals were
10 seen by a physician within a year for skin
11 disease. Contact dermatitis affects 15 to 20
12 percent of the general population over lifetime
13 with 5 to 10 percent having symptoms every year.
14 So contact dermatitis is a significant part of
15 skin disease for Americans and even -- and
16 worldwide. The average cost per patient is
17 approximately 887-- \$900 a year. Direct costs can
18 be 75 billion and indirect costs are also very
19 high for skin disease. Next slide.

20 So you see that contact dermatitis
21 affects many people. It is very costly and thus
22 patch testing is a very cost-effective mechanism

1 for determining what is causing skin allergy,
2 contact dermatitis. And I think it's worth noting
3 that from the North American group that if you
4 look at several different time periods, there's
5 great consistency between the data in that when
6 you patch test patients, approximately 70 percent
7 tested will have at least one positive allergen
8 and approximately 50 percent of those will receive
9 a primary diagnosis of allergic contact
10 dermatitis. So thus, we see that patch testing is
11 a very useful and cost-effective tool for
12 dermatologists and allergists. Next slide.

13 So this is data from a meta analysis of
14 28 studies from 2007 to '17. So this is showing
15 at least 20 percent of the general population have
16 contact allergy to common allergens. The
17 prevalence is higher in women. Nickel and
18 fragrance are two of the big allergic groups that
19 we see, and this is in adults. Next slide.

20 When you go on to look at the data on
21 children, what we see is that metals and
22 fragrances also come up pretty high. We also see

1 some surfactants, such as cocamidopropyl betaine,
2 topical antibiotics, and, interestingly,
3 methylisothiazolinone is higher in the U.S. than
4 Europe because Europe does regulate contact
5 allergens a bit more in products. Next slide.
6 Can you please -- yeah, great. Thank you.

7 So when looking at the burden of disease
8 and different skin diagnoses, what we see is that
9 contact allergy is one of the higher prevalence
10 categories within different dermatology diagnoses.
11 So it is very important and something that we
12 frequently see in our clinics. Next slide.

13 So looking at occupational allergic
14 contact dermatitis, briefly, what we see is the
15 prevalence is actually very high, 6 to
16 approximately 10, 11 percent. Eighty percent of
17 cases of occupational contact dermatitis involve
18 the hands. So this causes significant morbidity
19 and cost in the workplace. And there are several
20 categories of high incidence occupations, which
21 would include agricultural workers, construction
22 workers, healthcare workers, hairdressers,

1 mechanics, and machinists. And this is really
2 important. In 2020, occupational hand dermatitis
3 had an incidence of 1.8 per 10,000 workers, making
4 it the second most common occupational health
5 concern being tracked. So these are really
6 important to determine what is causing this
7 dermatitis. And also patch testing is very
8 cost-effective in working these types of reactions
9 up. Next slide.

10 So, in summary, allergic contact
11 dermatitis is a common diagnosis in the general
12 population where seeking dermatological
13 evaluation. Comprehensive patch testing is a
14 vital method for the evaluation of allergic
15 contact dermatitis. And we are using topically
16 applied allergens that are considered very safe,
17 and patch testing has been around for a very long
18 time. The preservation of this tool and the
19 haptens for testing are critical.

20 And thank you. I appreciate your time.

21 DR. KASLOW: Thank you so much for that
22 review of the epidemiology pathology of ACD and

1 the history of patch testing, and really making
2 the case that definitive patch testing is a
3 cost-effective tool in the diagnosis and
4 subsequent treatment.

5 So we're going to have about a 45-minute
6 Q&A at the end of this session. So in the
7 meantime, I just ask folks who are participating
8 to put your questions in the chat box.

9 And without further ado, we'll move to
10 the second presentation, "Occupational Contact
11 Dermatitis," by Dr. Pacheco, who is triple
12 board-certified and is professor of medicine in
13 the Division of Environmental and Occupational
14 Health Sciences at National Jewish Health as well
15 as the University of Colorado School of Public
16 Health. And she's the founder and director of the
17 MetALLs program at National Jewish Health, which
18 provides clinical assessment of sensitization to
19 surgical implants.

20 To you, Dr. Pacheco.

21 DR. PACHECO: Okay. Can you hear me? I
22 hope?

1 DR. KASLOW: We can.

2 DR. PACHECO: Great. All right, let me
3 start sharing. Hopefully this time it works. All
4 right. How about now? Can you see my slides?

5 DR. KASLOW: Yes.

6 DR. PACHECO: Yes? Okay. All right.
7 So I've been asked to talk about common causes of
8 occupational contact dermatitis. So what I'd like
9 to cover just in this short presentation is, you
10 know, how you recognize it, if it's possible to
11 distinguish between irritant and allergic contact
12 dermatitis, and to get a handle on what are some
13 of the common allergens that one might find
14 causing occupational contact dermatitis.

15 So this is the definition. It's an
16 inflammatory cutaneous disease, but it is caused
17 or aggravated by workplace exposures. The picture
18 actually is from a primer from 2015 demonstrating
19 different presentations of contact dermatitis.
20 These are the Mathias criteria and people still
21 use these. I think they're very useful. So the
22 clinical appearance looks like contact dermatitis

1 and you've been able to identify certain allergens
2 that could be causing it. And that's important.
3 And it gets also to what kind of extracts do we
4 have available to test these allergens?

5 The anatomic distribution is consistent
6 with the workplace exposure. Meaning if you have
7 someone who says they have occupational contact
8 dermatitis, but much of it takes place under their
9 clothes, that's kind of a clue that that may not
10 be the problem. There is a relationship between
11 ongoing exposure and development of disease.
12 Non-occupational exposures are excluded.
13 Dermatitis improves away from work, but gets worse
14 on returning. And patch testing, which I think is
15 kind of the purpose of this whole symposium,
16 demonstrates positive and relevant reactions.

17 So why is it a problem? Well, it's
18 common. Obviously my annual costs are out of date
19 compared to the previous speaker. This is from
20 2010. But if 10 percent of workers report
21 dermatitis, about 7.4 percent had work-related
22 dermatitis, which is at least a million people and

1 probably much higher now. The issue also, to make
2 the point, is that occupational dermatitis can be
3 caused by irritants or sensitizers, but treatment
4 requires determining the cause.

5 So most occupational contact dermatitis
6 is irritant-induced and there a list of many
7 agents that are able to cause it. Some of them,
8 even saliva, just constantly licking your lips,
9 chemical burns, water, soaps, solvents. You know,
10 I think painters and mechanics still wash their
11 hands in turpentine at the end of the day because
12 it gets rid of many of the lipophilic agents that
13 they work with. Again, detergents, surfactants,
14 bleaches, polishes, fiberglass, dry cold air. The
15 other point to make here is that if this goes on
16 for too long without identifying the cause, the
17 skin does not return back to normal even if you
18 treat it or remove it from exposure. So there's a
19 certain urgency in making the diagnosis to get
20 some sort of acceptable treatment.

21 Here are some examples of allergic
22 contact dermatitis and to different chemicals. It

1 came from a very nice nature review. So A and B
2 are contact dermatitis to hair dye,
3 paraphenylenediamine; C is contact dermatitis to
4 fragrance and lipstick; D is contact dermatitis
5 from shaving cream; E and F are contact dermatitis
6 from the tattoos.

7 The interesting thing about tattoos is
8 that many of them actually use metal salts for the
9 color. So most blue tattoos have cobalt as the
10 blue coloring, and some of the black tattoos have
11 nickel in them. And because we're working on
12 understanding better presentations in different
13 kinds of skin, I add just a picture of the tattoo
14 of a patient I saw a couple of weeks ago. You can
15 appreciate the fact that it's raised and for him,
16 intensely itchy as a sort of reaction to the
17 components of the tattoo.

18 All right. Differences. I think part
19 of the problem is that there aren't a huge number
20 of differences. So that's where patch testing and
21 the history become important, because both of them
22 can be red, be swollen, have vesicles, have

1 oozing. For chronic presentations, you get drying
2 of the skin, hyperkeratosis, fissuring. The
3 difference with irritant contact dermatitis, the
4 edge is limited to the contact area. In allergic,
5 it may be more intense, but it may spread beyond
6 the original contact dermatitis area. I think the
7 thing to note here is that allergic contact
8 dermatitis is itchy, and most people just complain
9 of unbearable itching. It can be a component of
10 irritant, but it's less important.

11 And then, of course, the time course.
12 So irritant occurs rapidly after the exposure.
13 For allergy, you need some time to develop the
14 allergic response, and then the lesions may not
15 show up immediately, but 24 to 72 hours after
16 exposure, that can make it difficult to identify.

17 So irritant contact dermatitis sort of
18 opens the door for allergic contact dermatitis.
19 And sometimes I wonder if, because there are so
20 many exposures to irritants, that's what is in
21 part driving the allergic response. But irritants
22 can disrupt the epithelial barrier. They can

1 activate the first innate immune response, right,
2 the pattern recognition response that then opens a
3 door to adaptive immunity.

4 So this is from a nice retrospective
5 published in 2022, looking at results from the
6 North America contact dermatitis group and sort of
7 listing the most common occupational allergens. I
8 won't read all of these, but -- because you can
9 group them into certain categories that are very
10 useful.

11 This, also, sort of has been a graph of
12 what's changed over time. And I think this is
13 pretty interesting in the sense that the carba
14 mix, which is a rubber accelerator, has been
15 increasing in the percentage of positive
16 reactions. The methylchloroisothiazolinone, which
17 is a preservative, is also increasing in
18 frequency, whereas the mercaptobenzothiazole is
19 decreasing. And this is simply, I think, a
20 reflection of where these particular chemicals are
21 used in gloves and as a preservative.

22 So this is how I cluster these allergens

1 that I think is useful. So rubber accelerators
2 are probably top of the list. They're in gloves.
3 They're also in safety equipment. And the ones
4 from that list include carba mix, thiuram mix, and
5 diphenylguanidine. Adhesives and glues are very
6 important causes of allergic dermatitis, and
7 partly because they're so reactive. From that
8 list is bisphenol A, a sort of a component of
9 epoxy resins. 2-HEMA is 2-hydroxyethyl
10 methacrylate. It's used a lot in dentistry as an
11 adhesive. And that's kind of a common exposure.
12 Metals, of course, in tools. The big ones are
13 nickel, cobalt, and chromium.

14 Preservatives are very important.
15 They're used everywhere, in part because the
16 components, say, of shampoo or conditioners or
17 lotions is a very nice, rich mix that bacteria
18 would be very happy to occupy. And so most of
19 them have some kind of preservative in them to
20 prevent bacterial growth, including the
21 isothiazolinones, quaternium-15, formaldehyde, and
22 glutaraldehyde. Lastly, paraphenylenediamine.

1 It's interesting. It's not just hair dye, but
2 it's used in many other settings to create the
3 dark color, including black henna tattoos.

4 So same thing from the same paper is
5 what exposures would have been missed if they had
6 not added supplemental extracts? So the North
7 American Contact Dermatitis panel now has 80
8 allergens. And so looking at their pattern of
9 response, about 82 percent reacted to something in
10 that panel. But 13 percent also reacted to a
11 supplemental allergen associated with their
12 particular occupation. And 5 percent only reacted
13 to the supplemental allergen. And these come from
14 other adhesives, hair dyes, gloves, coatings,
15 moisturizers, and metal working fluids. So the
16 kind of points to the area saying that there are
17 some allergens we need a better supply of in order
18 to make the right diagnosis.

19 I thought this was kind of interesting.
20 It looks at the top sensitizers in different
21 countries. So these include Europe, then Germany,
22 Austria, and Switzerland, Greece, USA, Australia,

1 and Singapore. And I think if we're just looking
2 at the USA, you can see that nickel, again,
3 remains the highest. Fragrance mix is important.
4 The methylisothiazolinone is important.
5 Formaldehyde in the U.S., balsam of Peru, MCI, and
6 cobalt as well. But there's some that seem to be
7 less prevalent in the U.S., such as colophony,
8 potassium dichromate, and the like. But it just
9 suggests that there are different patterns of
10 important allergens in different countries. So to
11 make the diagnosis you need location, history, and
12 timing. And the location is the first very
13 important clue.

14 So looking at the picture of the hands,
15 it's clearly a contact dermatitis, probably
16 related to gloves. The picture on the right
17 suggests this is an airborne exposure because you
18 have the rash on the V of the neck that may be
19 exposed as well as the face. I won't go into
20 great detail here, but I really like this table
21 showing the different patterns that you would get
22 based on the nature of the exposure. I mean,

1 airborne contact dermatitis is going to be
2 different, right, from face cream contact
3 dermatitis. Periorbital may be related to things
4 on the hands or on the skin. And then there's
5 also personal protective equipment contact
6 dermatitis.

7 So onset with latency takes time to
8 develop the reaction, association with a specific
9 task. You identify the cause by patch testing.

10 So I'm just going to -- since I was
11 asked to talk about occupational dermatitis, I'm
12 just going to briefly review some of the common
13 allergens. So in terms of agriculture, you're
14 really talking about metals. Some of the
15 pesticides are sensitizers, including -- oh, no, I
16 forget. Well, anyway, rubber additives for the
17 gloves, thiuram mix. And there's also exposures
18 to plants, animal feed, and fish that can cause a
19 contact dermatitis.

20 In construction workers, again you get
21 exotic woods can cause contact dermatitis.
22 Chromium is a component of cement; colophony,

1 which is an adhesive used by electricians;
2 plumbers, acrylates and epoxy resins. So the
3 other important point to make with construction
4 workers are the waterproofing chemicals because
5 these are isocyanates and they can cause both the
6 contact dermatitis as well as occupational asthma.

7 Healthcare workers and housekeeping. I
8 think this is almost one of the largest category
9 of workers that we see given the common exposures
10 to rubber accelerators, the preservatives and
11 disinfectants, the adhesives are important.
12 Similarly, the housekeeping personnel have similar
13 exposures. But I think some of the top ones on my
14 list are the bacterial enzymatic cleaners because
15 these are enzymes taken from bacteria, ethylene
16 oxide, and I think quaternary ammonia compounds is
17 really important. They're used in many kinds of
18 disinfectants, surface disinfectants. People like
19 to use them because they don't smell like
20 chlorine, but they're important sensitizers in
21 that occupational group. Similarly in
22 housekeeping, though, there are many irritants

1 that make the top of the list and fewer
2 sensitizers.

3 I put this up just because it's
4 important to realize that these things are
5 everywhere. So I simply looked at the safety data
6 sheet for Simple Green, Lysol Disinfectant Spray,
7 and Mrs. Meyer's Clean Day Multi-Surface Everyday
8 Cleaner. And I've underlined the sensitizers that
9 are present in these different products and they
10 include some plant products, citrus, lemon, lemon
11 peel, but, again, preservatives, isothiazolinone.
12 Lysol Disinfectant Spray is disinfectant because
13 it has a fairly large amount of a quaternary
14 ammonia compound along with some pretty good
15 solvents, including ethanol. And then lastly,
16 this Mrs. Meyers Clean Day cleaner, again, has the
17 same preservative, methylisothiazolinone, as well
18 as fragrances. So these things really are
19 ubiquitous. The point to remember here is that
20 green cleaners are green for the environment, but
21 they're not necessarily green for the person using
22 them.

1 Hairdressers, cosmetologists have a lot
2 of allergens that they're exposed to. Again,
3 permanent hair solutions, hair bleaching, hair
4 dyes, paraphenylenediamine, hairdresser tools,
5 I've seen much less of that. But nail technicians
6 also are exposed to a number of really important
7 sensitizers, especially the acrylates. So these
8 are just pictures of someone reacting to nails;
9 contact dermatitis around the eyes.

10 Mechanics, also. Remember that metal
11 working fluids used to be only made of water. Now
12 it's a combination of petroleum products and
13 water. And all of them have biocides to prevent
14 growth of both bacteria and fungi in them. But
15 these are sensitizers.

16 All right. Plant allergens and
17 florists. Interestingly, right, florists, outdoor
18 workers, and food service workers are often
19 exposed to the same plant allergens. Peruvian
20 lily and its relations, including onion and
21 garlic, are a common cause of contact dermatitis.
22 For those of us who have reacted to poison ivy in

1 an exuberant way, I include the leaves of three.
2 Let them be up there. But food surface workers
3 are exposed to many different allergens as well.
4 I've listed some of these here. Remember, mangoes
5 cross react with Rhus toxicodendron. There are
6 lemons and limes. They're antimicrobial chemicals
7 and physical conditions as well.

8 Patch testing is the key to treatment.
9 And so expanding on what Dr. Ehrlich commented on
10 earlier, this is a nice set of pictures showing
11 the different grades of patch test reactions. So
12 A is doubtful; B would be considered 1 plus; C 2
13 plus; D, three plus, where you get weeping and
14 vesicles; E is kind of a shiny irritant
15 (inaudible); and then F and G, there is also an
16 edge effect that it can also be caused by
17 irritants or by analogy to topical steroids. So
18 it's more concentrated in the center so you get
19 less of a reaction, but you do start to develop a
20 reaction around the edge.

21 So here's the take-home message. Most
22 occupational contact dermatitis is irritant

1 induced by water, solvents, and surfactants.
2 Allergic occupational contact dermatitis is
3 characterized by latency, takes time to develop
4 the immune response, and itching. Location,
5 history, and timing will help you focus what
6 allergens are probably important. There are some
7 common allergens. Patch testing is the key. But
8 there are also some allergens out there that we
9 either have very limited knowledge of or limited
10 patch testing reagents.

11 And that's it. Thank you very much.

12 DR. KASLOW: Thank you for your review
13 of the breadth of allergens, the clinical
14 presentation of diagnostic approaches to
15 occupational contact dermatitis, and really, you
16 know, the differentiation from irritant contact
17 dermatitis, and finally the role of patch testing
18 and expanding availability of those patch tests.

19 Again, please submit questions that you
20 have in the chat box. And we will now move to the
21 "Economic Burden of Allergic Contact Dermatitis"
22 by Dr. Chen, who's a clinical professor at

1 Stanford University School of Medicine with an
2 interest in allergic contact dermatitis and atopic
3 dermatitis. She's the past president of the
4 American Contact Dermatitis Society and has also
5 served on its board of directors.

6 So to you, Dr. Chen.

7 DR. CHEN: Thank you. See if I can make
8 this work. All right. So thank you for inviting
9 me to speak today. I am going to be talking about
10 the economic impact of allergic contact dermatitis
11 in the United States. As an overview, I'm going
12 to start out with some background. I'll talk a
13 bit about the cost of contact dermatitis as well
14 as the rising cost of missing allergic contact
15 dermatitis. And then I'll finish up with speaking
16 on the cost benefits of patch testing.

17 For background, contact dermatitis is
18 the fifth most common diagnosis seen by
19 dermatologists and it accounts for up to 90
20 percent of all occupational skin disease, as you
21 just heard from those last two great talks. Up to
22 20 percent of the general population are thought

1 to have skin allergies that could result in
2 allergic contact dermatitis, so it's extremely
3 common. And allergic contact dermatitis has been
4 associated with many comorbidities. In the
5 infectious category, it's been associated with
6 increased rates of impetigo, cellulitis, cutaneous
7 abscess, cutaneous candidiasis, and HSV. In the
8 psychiatric category, it's been associated with
9 increased rates of depression, anxiety, ADHD, and
10 psychiatric medication use. And in the sleep
11 health category, it's been associated with
12 increased rates of insomnia and daytime
13 somnolence.

14 So it should come as no surprise that
15 allergic contact dermatitis can be associated with
16 a significant economic cost. And these can be
17 broken down into direct costs and indirect costs.
18 Direct costs include medical appointments,
19 diagnostic procedures, medications,
20 hospitalizations. Indirect costs include things
21 like lost productivity, absenteeism, job change,
22 or disability.

1 So what are the costs of allergic
2 contact dermatitis? I wanted to start out with
3 this case series from Lidden, et al. This is an
4 older study, but I think it demonstrates nicely
5 the potential cost of allergic contact dermatitis.
6 The authors in this study wanted to evaluate the
7 impact of allergic contact dermatitis to toluene
8 formaldehyde sulfonamide resin, also known as
9 tosylamide/formaldehyde resin, which is found in
10 fingernail polish. And this is a pretty uncommon
11 allergen.

12 The authors wanted to look at the impact
13 of this allergy on their patients. They found
14 that 18 of their patients had patch tested
15 positive and presented with face, neck, and hand
16 dermatitis. And you might be thinking to
17 yourself, what's the big deal? It's just allergic
18 contact dermatitis to nail polish. But until the
19 correct diagnosis was made, 11 of the 18 patients
20 actually went on sick leave or stopped working, 4
21 required hospitalization, and 2 of those patients
22 subsequently lost their jobs. Nine were on sick

1 leave for two weeks to seven months. Two even
2 stopped working at their computer terminal out of
3 a mistaken belief that that was what was causing
4 their rash. So you can see even in milder cases,
5 productivity was affected. And most importantly,
6 all of these cases resolved within a few weeks
7 once patients started allergen avoidance after
8 they had gotten patch testing and learned what was
9 causing their rash.

10 So what are the actual numbers
11 associated with the cost of contact dermatitis?
12 Unfortunately, our data is a little bit limited in
13 the United States, but this is probably the best
14 study that has been published on the topic. This
15 was from the 2004 Burden of Skin Disease Project
16 that was conducted by the American Academy of
17 Dermatology Association and the Society for
18 Investigative Dermatology. They looked at a
19 number of national, private, and publicly
20 available databases, which you can see listed here
21 in that third bullet point. And what they found
22 was that contact dermatitis was the fourth most

1 common skin disease seen by dermatologists in
2 2004. It was the fifth most economically
3 burdensome skin disease.

4 And they calculated a total direct and
5 indirect cost of \$2.2 billion, which would be the
6 equivalent of about \$3.8 billion today, adjusting
7 for inflation. And this was their breakdown of
8 the costs. So the direct cost was calculated to
9 be about \$1.6 million. The indirect cost because
10 of lost productivity was calculated at \$566
11 million for a total direct and indirect cost of
12 2.2 billion, like I mentioned on the last slide.

13 The authors also did try to calculate
14 the intangible costs due to quality of life
15 impact. They did that based on existing
16 literature. And the number that they calculated
17 for contact dermatitis was a cost of \$1.9 billion
18 for a total cost for contact dermatitis of \$4.1
19 billion in 2004, which would be the equivalent of
20 about \$7.2 billion today.

21 On this lower table, you can see the
22 direct cost breakdown. So you can see the

1 majority of the cost came from prescription drug
2 costs as well as office visits. There also was a
3 significant contribution from hospital emergency
4 department visits and hospital outpatient visits,
5 and then, to a lesser extent, hospital inpatient
6 stays as well. Here on the lower table you can
7 see the indirect costs by category. So you can
8 see the majority of the indirect costs came from
9 lost work days followed by restricted activity
10 days and then caregiver lost work days.

11 This was a follow-up study, the 2016
12 Burden of Skin Disease Report, also conducted by
13 the American Academy of Dermatology. In this
14 study, they looked at a number of claims databases
15 from insurance enrollments and claims databases.
16 And so they looked at the year 2013. You can see
17 listed here all the databases that they looked at.
18 And what they found in this study was that 84.5
19 million Americans, or about 1 in 4, were impacted
20 by skin disease in 2013. Contact dermatitis was
21 the fifth most common skin disease and it was
22 among the top five skin diseases causing lost

1 productivity.

2 They estimated the cost at greater than
3 \$1.5 billion in medical treatment alone. This
4 actually surpassed the cost of treating melanoma
5 skin cancer. And they estimated the cost at 700
6 million for lost productivity. So in today's
7 dollars, that would be the equivalent of medical
8 treatment costs of \$2.1 billion and lost
9 productivity costs of \$980 million.

10 This is another study from Blanca 40
11 looking at the burden of contact dermatitis in
12 U.S. workers. They looked at the 2004 Medical
13 Expenditure Panel Survey household component data
14 and they included patients who were age 16 to 65
15 working in 7 industry sectors. And this is their
16 breakdown from that study. The total direct and
17 indirect cost came out to be about 1.2 billion,
18 which would be the equivalent of 2.1 billion
19 adjusted for inflation today. Direct expenditures
20 were calculated to be at about 550 million,
21 indirect at 625 million, which would be the
22 equivalent of 964 million and 1.1 billion today.

1 The per person expenditure was calculated to be
2 about \$552 per patient, which adjusted for
3 inflation would be about \$965 today.

4 You might be noticing that the indirect
5 expenditures are pretty similar for this study
6 compared to previous study also looking at 2004 I
7 showed you a few slides ago. The direct
8 expenditures for this study were quite a bit lower
9 than that previous study and this could be due to
10 several reasons. This is a survey-based study.
11 So medical expenditures were calculated from
12 patient recall of their medical expenses and that,
13 of course, is subject to recall bias. Also, the
14 authors were only looking at this one subset of
15 patients age 16 to 65 in those 7 specific industry
16 sectors. So this was not representative of the
17 entire U.S. population.

18 They did go on to break down the cost by
19 industry sector. So you can see by far and away
20 the highest cost was associated with the service
21 industry, where the total cost in 2004 amounted to
22 be about 844 million. So the service industry,

1 you know, think healthcare workers, hairdressers,
2 cleaners, restaurant workers, and so forth, so
3 definitely hit hard by contact dermatitis. The
4 second most costly industry sector was
5 construction, followed by wholesale and retail
6 trade and then manufacturing.

7 This is another study looking at
8 occupational contact dermatitis. Looking at
9 Oregon from the years 1990 to 1997. This was a
10 retrospective analysis of workers' compensation
11 claims. The mean cost per claim was about \$3,500
12 and the highest total costs were associated with
13 precision production and crafts, which averaged to
14 be about 8,000 per claim, and wholesale trade,
15 which averaged to be about 7,000 per claim. And
16 the total cost was \$2.2 billion for these 8 years
17 in this one state, averaging about \$271,000
18 annually. And this would be the equivalent of a
19 total cost of \$3.9 billion today, or about
20 \$474,000 annually.

21 Note that this is probably an
22 underestimate. The Oregon Workers Compensation

1 database does not include patients who are
2 self-employed. And we know that there are certain
3 occupational subgroup groups that are heavily
4 affected by contact dermatitis, but are often
5 self-employed, such as hairdressers, for example.
6 So, again, this is probably an underestimate.

7 So let's talk limitations. Any time
8 we're looking at any studies evaluating cost of
9 contact dermatitis, I think presenteeism is a huge
10 factor where patients still show up to work.
11 Maybe they're feeling well enough to still show up
12 to work, but their productivity may still be
13 taking a hit. And it's hard to quantify that.
14 Also, milder cases are unlikely to be reported.
15 Job loss is difficult to capture, as well as
16 underreporting of occupational cases due to fear
17 of job loss.

18 Access to healthcare, of course, is a
19 huge issue. If they don't have access, we can't
20 quantify the costs of them seeking medical care
21 for their condition. It's also hard to capture
22 the cost of adverse effects of unnecessary

1 medications. The cost of over-the-counter
2 products is also hard to capture and that is
3 likely significant since most of our contact
4 dermatitis patients do reach for over-the-counter
5 products first whenever they develop contact
6 dermatitis. And you saw from these previous
7 studies I showed you, it also is hard to calculate
8 the cost in terms of quality of life and lower
9 standard of living. So definitely all of these
10 studies are going to be underestimates.

11 So I wanted to spend the next few slides
12 talking about the rising cost of missing allergic
13 contact dermatitis. One of the things that's
14 tough about allergic contact dermatitis is that it
15 has to be distinguished from a lot of other
16 conditions. And probably one of the most
17 difficult things to distinguish it from is other
18 forms of eczema because the appearance may be
19 exactly the same among different forms of eczema,
20 but the causes and treatments often differ. And
21 the costs are significantly higher now, likely,
22 than prior to 2017. Since 2017, we've had an

1 explosion of much improved, but costly treatments
2 that have been FDA approved for other types of
3 eczema. So these have been huge treatment
4 advances. But, again, they are associated with a
5 price tag.

6 So a prime example of this is atopic
7 dermatitis. This is a form of eczema that affects
8 about 13 percent of the pediatric population and 7
9 percent of the adult population in the United
10 States. Atopic dermatitis may be misdiagnosed as
11 allergic contact dermatitis because they may look
12 exactly the same. Also, atopic dermatitis may
13 coexist with allergic contact dermatitis and
14 studies show that about 50 to 66 percent of patch
15 tested atopic dermatitis patients will have one or
16 more patch test positives.

17 Why does this matter? It's because the
18 management differs. So, allergic contact
19 dermatitis, as you've heard, we manage this with
20 allergen avoidance, primarily. Atopic dermatitis,
21 on the other hand, is a chronic disease that
22 typically requires ongoing medication

1 indefinitely. And so I've included here the
2 atopic dermatitis medications that have been FDA
3 approved since 2017. These are the annual retail
4 costs, and you can see in bold here, these are the
5 systemic agents and the cost ranges from 40,000 to
6 130,000 per year. Down here at the bottom of the
7 slide, unbolded, these are the topical agents that
8 have been FDA approved for atopic dermatitis since
9 2017. And you can see here, even for these top
10 agents, the annual cost ranged from 13- to 30,000.
11 So, clearly, there's a steep price tag if we miss
12 allergic contact dermatitis.

13 So in our last couple minutes, I wanted
14 to also touch upon the cost benefits of patch
15 testing. This is the most recent study on this
16 topic, actually hot off the presses. The
17 manuscript is currently under review and the data
18 has been presented already at national meetings.
19 The study looked at claims data from the Merative
20 MarketScan Research Data Set [sic], which is a
21 database for commercially insured patients. And
22 the study included about 6,600 patients who had

1 undergone patch testing in 2015 and had been given
2 a diagnosis of atopic dermatitis and/or contact
3 dermatitis.

4 And you can see here the data for the
5 direct cost of outpatient care one year before and
6 one year after patch testing among these patients
7 who had been patch tested in 2015. So across the
8 whole cohort, the direct cost per patient was a
9 median of \$545 per patient in the year prior to
10 patch testing and in the year following patch
11 testing, this cost had dropped down to 279 per
12 patient. And this was statistically significant.

13 There were similar findings across all
14 subgroups, including patients who had only been
15 given a diagnosis of atopic dermatitis, patients
16 who had only been given a diagnosis of contact
17 dermatitis. And the greatest cost savings were
18 actually seen in patients who were given both a
19 diagnosis of atopic dermatitis and contact
20 dermatitis. So the direct cost per patient for
21 that subgroup was actually \$850 in the year prior
22 to patch testing and it dropped down to 426 in the

1 year following patch testing.

2 And it's important to note that this
3 study period was 2014 to 2016. So this actually
4 predated all of those much more expensive targeted
5 atopic dermatitis treatments that I just showed
6 you a couple slides ago. So we expect the cost
7 savings to be even higher in the current climate.

8 So this is the inpatient data looking at
9 patients who were hospitalized with specifically
10 the primary reason for hospitalization being
11 atopic dermatitis or contact dermatitis. This was
12 a very few number of patients out of the whole
13 cohort, but you can see that the average cost per
14 patient was about 8,000 to \$9,000 per patient, and
15 that none of the patients required hospitalization
16 for these conditions in the year following patch
17 testing. So this does support cost savings with
18 inpatient care as well.

19 So takeaways from this study. Patch
20 testing was associated with significant cost
21 benefits. Outpatient cost savings, interestingly,
22 in this study were mainly due to a reduction in

1 the expenses associated with office visits for
2 dermatitis. So, like I was mentioning, we do
3 expect that we'll see further cost savings in this
4 current era of newer and more costly eczema
5 medications, and further studies are ongoing
6 looking at that. Overall, this study suggests
7 that successful timely identification of contact
8 allergens is an effective and cost-efficient
9 intervention for patients with dermatitis.

10 So in conclusion, allergic contact
11 dermatitis is associated with a significant
12 economic burden. Patch testing allows for the
13 identification of potential culprit allergens and
14 is associated with significant cost savings. And
15 future studies are required to better understand
16 the full economic impact of allergic contact
17 dermatitis.

18 So with that, I'll thank you for your
19 attention. I think we're taking questions in a
20 few minutes.

21 SPEAKER: Dr. Kaslow, you're muted.

22 DR. KASLOW: I guess we have to do them

1 both. Sorry. Okay. So I'll start off. I'll
2 thank you again, Dr. Chen, a great summary on the
3 economic burden of ACD, both its indirect and
4 direct costs of this fifth most common skin
5 disease.

6 We will move on now to a pediatric
7 topic, "Contact Dermatitis in Children." And
8 we'll turn to Dr. Jeff Yu, who's a double
9 board-certified adult and pediatric dermatologist
10 specializing in ACD and atopic dermatitis, and
11 he's currently the chair of dermatology at the
12 Virginia Commonwealth University, where he leads
13 the Contact Dermatitis and Atopic Dermatitis
14 Clinic and is the president of the American
15 Contact Dermatitis Society.

16 To you.

17 DR. YU: Perfect. Thank you. Everybody
18 can see the screen? Everybody can hear me okay?

19 SPEAKER: Yes.

20 DR. YU: Perfect. All right.

21 DR. KASLOW: Yes.

22 DR. YU: Great.

1 DR. KASLOW: We see the PowerPoint.

2 DR. YU: Thank you. So, again, thank
3 you all for giving us the opportunity to talk to
4 you all about the importance of allergic contact
5 dermatitis in diagnosing and treating our patients
6 who suffer from this condition. And one of the
7 areas that I am focused on in my clinical practice
8 is looking at allergic contact dermatitis in
9 children, what I consider a particularly
10 susceptible, but also important population to
11 consider because a lot of these kids, like what
12 Dr. Chen and people before me have, you know,
13 briefly discussed, is that the prevalence of
14 atopic dermatitis is high, but certainly not every
15 kid that we think has eczema just has regular run
16 of the mill eczema.

17 And sometimes patch testing can really
18 end up being steroid as well as systemic
19 medication treatment sparing, not only saving U.S.
20 Healthcare dollars at the end of the day, but also
21 saving these kids from a lifetime of one of these
22 therapies, which can be made unnecessary,

1 especially if we are able to have access to the
2 types of patch test allergens that we need as well
3 as the patch test series that we currently use.
4 So I'm really hoping that through this
5 presentation you all will understand the
6 importance of patch testing in children and making
7 sure that we have access to these medications or
8 these materials here.

9 We're going to talk a little bit about
10 the existing data on the prevalence of ACD in
11 kids. We're going to talk about how to patch test
12 kids from different work groups that have been
13 published not only in the U.S., but around the
14 world. And then on the very end, I want to
15 present two cases of successful patch testing in
16 children that led to clearance of their dermatitis
17 and rash.

18 So one of the questions I often get
19 asked from my colleagues is whether or not kids
20 actually get allergic contact dermatitis. This is
21 a study that was commissioned by the American
22 Academy of Dermatology that shows that kids, those

1 between the age of 0 and 17, about a third of the
2 population had contact dermatitis of some --

3 DR. KASLOW: Excuse me, Jeff.

4 DR. YU: Yes.

5 DR. KASLOW: I don't -- we don't see
6 your slides advancing and you may want to also put
7 it into presentation view.

8 DR. YU: Okay.

9 DR. KASLOW: You know, click the little,
10 you know, easel thing below.

11 DR. YU: Okay. I am sorry about that,
12 guys.

13 DR. KASLOW: It's all right.

14 DR. YU: Let me try this one more time.
15 I apologize.

16 DR. KASLOW: I think if you go -- yeah,
17 I think if you go into PowerPoint and you go Share
18 on Teams, I think that works. That's one way to
19 do it or to do the share. But for some reason it
20 wasn't advancing and it wasn't in presentation
21 mode.

22 SPEAKER: We can pick up share, if

1 needed, Dr. Yu.

2 DR. KASLOW: Yeah, maybe you guys go
3 ahead and do that because I think that might
4 actually be easier instead of me kind of putzing
5 around here. Great. Okay. And I can't control
6 it, right? There's no way for me to control on
7 your end. Okay.

8 SPEAKER: Correct.

9 DR. YU: Okay, perfect.

10 SPEAKER: Please just say, next slide.

11 DR. YU: Let's do that. Okay. Next
12 slide, then. So this was the graph that I was
13 referring to with the study that was commissioned
14 by the American Academy of Dermatology that showed
15 about one-third of the kids can develop contact
16 dermatitis. And certainly contact dermatitis is
17 not lost in adults. About 1 in 4 to 1 in 5 adults
18 also develop allergic contact dermatitis. Next
19 slide, please.

20 And one of the reasons why we think
21 allergic contact dermatitis maybe didn't occur in
22 children is because of the predominance of atopic

1 dermatitis, which we now know very well is a Th2
2 predominant type of disorder versus, historically,
3 we used to think allergic contact dermatitis was
4 very much Th1. And our understanding of the
5 immune system at least, is that if Th1 is
6 overexpressed, Th2 is then underexpressed, and
7 vice versa is also true. But now with a lot of
8 the more recent data, we know that is not the
9 case. Next slide.

10 So in studies that were done, and one of
11 these studies was done by our very own Dr.
12 Belsito here, it was his group found that more
13 kids who had atopic dermatitis had more positive
14 patch testing reactions to one or more allergens.
15 Meaning not only are kids with atopic dermatitis
16 likely to get allergic contact dermatitis, they
17 were then more likely to have more positive
18 reactions on patch testing and, therefore, more
19 likely to suffer from ACD. Next slide.

20 And in a study that was done in India,
21 they found that the more severe the atopic
22 dermatitis, so higher the SCORAD numbers were for

1 these kids, the more likely they were to have
2 positive patch testing as well. Next slide.

3 And studies that were done by the North
4 American Contact Dermatitis Group between 2001 and
5 2008, looking at roughly 2,000 kids, found that
6 even though kids have a higher prevalence of
7 atopic dermatitis and a higher prevalence of
8 asthma, they had a similar rate of allergic
9 contact dermatitis compared to their adult cohort,
10 as well as a very similar patch testing rate.
11 Meaning that kids can absolutely get allergic
12 contact dermatitis and should be routinely
13 evaluated for ACD, especially if the clinical
14 suspicion is there. Next slide.

15 And these are just some photos from a
16 continuing medical education article that I wrote
17 with some of my colleagues looking at patch
18 testing that can happen in children. And
19 certainly even kids as young as five years old,
20 for example, as this little boy can easily fit 80
21 allergens and perhaps even more if we expanded to
22 some other sites, just showing the importance and

1 the ability for us to comprehensively patch test
2 children. Next slide.

3 And this is a picture of a child that
4 was about 16 to 18 months of age where we were
5 also able to patch test this child with a much
6 more limited yet important select series of
7 allergens. Next slide.

8 So I believe patch testing is extremely
9 important in children. And I wanted to show that
10 patch testing actually made an improvement in the
11 kids dermatitis. So between 2017 and 2022, we
12 looked at roughly 166 kids that were patch tested
13 at our center. Fifty-one of them had follow-up
14 data and we found that about 27 percent of them
15 improved completely after patch testing. So this
16 is without other interventions, without topical
17 medication.

18 What we did was that we patch tested
19 them, told them what they're allergic to, gave
20 them guidance in terms of good skin care and
21 avoiding some of those allergens, and then about
22 27 percent of them had 100 percent improvement in

1 their dermatitis. No rashes, no topical, no
2 systemic medications needed. Fifty-five percent
3 of those kids partially improved to various
4 degrees, but the vast majority of them also had
5 atopic dermatitis. I never tell kids that we're
6 patch testing you to cure your atopic dermatitis.
7 We're patch testing you to remove the allergic
8 contact dermatitis component. You're probably
9 still going to have some atopic dermatitis left
10 over if you're somebody that suffers from both,
11 which many of these kids did. But about 55
12 percent still improved partially.

13 What that could mean is that maybe these
14 kids no longer needed daily topical steroid use.
15 Maybe these kids went from somebody that needed a
16 systemic biologic medication to maybe just using
17 topical or moisturizing on its own, still
18 providing a significant quality of life as well as
19 a medical benefit to them just by patch testing
20 alone, a test that has been shown to be very safe
21 and very effective. Unfortunately, about 17
22 percent of those kids did not improve at all. And

1 again, the vast majority of them had atopic
2 dermatitis. Perhaps those kids were more of your
3 pure atopic dermatitis kids with no allergic
4 contact dermatitis versus the vast majority of
5 them that had improvement had some degree of
6 allergic contact dermatitis. Next slide, please.

7 And what were some of the top allergens
8 that we found? I'm just showing some arrows here
9 with some of the fragrance chemicals being some of
10 the top hitters. We had metals, we had
11 preservatives, and then we had some of the other
12 excipients that you can see on this list. All
13 these allergens are things that we can find in
14 daily products that are included in your shampoos
15 and your over-the-counter topical medications, in
16 your jewelry, in your toys. These are all
17 commonplace everyday allergens that we are using
18 to patch test. And therefore, that kind of talks
19 a little bit about the safety of the patch testing
20 when we're putting these on kids.

21 There is no evidence of active
22 sensitization for any of these allergens. And

1 kids do react with these with a very mild local
2 reaction that usually manifests with some degree
3 of itching. But we're able to find with pretty
4 good sensitivity as well as reliability that these
5 were positive reactions and hence avoiding them
6 led to significant improvement in the vast
7 majority of these kids. Next slide, please.

8 So what does this all mean here?
9 Pediatric patch testing is important, as I've
10 hopefully have already shown you here, and should
11 be considered a routine evaluation for dermatitis,
12 especially if they are not just your regular
13 atopic dermatitis or presenting like regular
14 eczema. And kids who have atopic dermatitis are
15 not only likely to develop allergic contact
16 dermatitis, but more likely to develop it,
17 especially if it is a more severe variant. Next
18 slide, please.

19 So what are some of the working
20 recommendations for patch testing in children?
21 And I don't think the U.S. is really unique in
22 patch testing kids because in other parts of the

1 world, especially in Europe, they have multiple
2 recommendations that are published for how we
3 should be patch testing children as well. Next
4 slide.

5 This is a position paper from EAACI,
6 which is the European asthma and allergy group
7 there. And they had some recommendations for
8 patch testing in children. They had baseline
9 series that included about 10 allergens and
10 additional allergens that are recommended,
11 especially depending on their clinical history, as
12 well as allergen exposure that is shown here.
13 Next slide.

14 We published a pediatric baseline series
15 here in the United States back in 2018,
16 recommending a panel of 38 different allergens
17 that has roughly a 70 percent detection rate for
18 the top 10 allergens in children, as we've shown
19 in studies. And this panel should be used in
20 children, especially if they're not big enough or
21 old enough to accommodate the standard series that
22 we use, such as a North American 80 or the

1 American Contact Dermatitis Society 90 core
2 series. Next slide.

3 And then there's also a current proposal
4 going around from the European Society of Contact
5 Dermatitis looking at contact allergy in children.
6 And they are proposing an 18 allergen baseline
7 panel for kids with a further 7 recommended
8 additions, making a total of 25 allergens that
9 they recommend patch testing all children for at
10 minimum. And this is a group of multiple
11 different countries, including Belgium, Italy,
12 Spain, and such. Next slide.

13 This is the study that I was referring
14 to earlier, looking at how different patch test
15 series are able to capture the top 10, 20, or 50
16 allergens in children. You can see on the
17 left-hand panel here the pediatric baseline
18 series, about 70 percent detection rate for the
19 top 10 allergens. What I tell people, though,
20 more is always more when it comes to patch
21 testing, right? If we are only using a small set
22 of allergens, there is a very high likelihood of

1 us missing important allergens here. So you can
2 see the pediatric baseline series has 38 different
3 allergens. Then if you look at some of the other
4 series here of 60, 70, or 80 allergens, the
5 detection rate for the top 10 allergens
6 incrementally goes up to the point where the North
7 American Comprehensive 80 includes 100 percent of
8 all top 10 allergens can be detected there. Next
9 slide.

10 So now what does this next part mean?
11 Different regions use different allergens due to
12 exposure differences. Certainly our exposure here
13 in the U.S. is a little different than the
14 exposure they have in Europe. Nonetheless, both
15 groups or both continents certainly believe that
16 patch testing in children is important enough for
17 us to go about and make these baseline series and
18 these baseline recommendations. And I think there
19 is a growing international interest in established
20 baseline series in children. I know that in Asia
21 they're working on something and in the Australia,
22 New Zealand area they also have something similar

1 for kids, too. Next slide.

2 So what are some cases of pediatric
3 patch testing that I have seen that have made a
4 big difference in the kids lives? First -- next
5 slide.

6 So here is a 12-year-old atopic child
7 who has a 4-year history of hand dermatitis that
8 got worse during 2020 to 2021. So remember, this
9 kid has a history of underlying eczema, but the
10 hand is a new involvement and that is the
11 prerogative for us for patch testing here. There
12 were no significant changes in activity, had some
13 bacterial hand infections that so often happened
14 with hand eczema and it was successfully treated
15 with cefalexin. Next slide.

16 Here are some photos of the kid's hands.
17 You can see those fissures that are very painful.
18 You can see the scaling that involves both the
19 dorsum as well as the palmar surfaces of the
20 fingers and the palms, too. Next slide.

21 So the diagnosis here from patch testing
22 was an allergen called methylisothiazolinone. And

1 this has been referred to already earlier by my
2 colleagues. But this has been a top hitting
3 allergen really since 2011. And currently it's
4 the number two most common allergen in both adults
5 as well as children. And when this is positive,
6 the allergen has the highest degree of relevance
7 when we're looking at the data here. So if
8 somebody is allergic to this, there's a very good
9 likelihood that there is a product or an exposure
10 that the patient is being in contact with that is
11 leading to their contact dermatitis.

12 Where can you find
13 methylisothiazolinone? We find it in personal
14 care products, shampoos, conditioners, for
15 example, different paints that we are currently
16 using to paint the walls, cleaning supplies, nail
17 polish, slime, for example, that kids all play
18 with. So this is a very popular type of
19 preservative that industry is using in a lot of
20 their products. And we're fortunate to be able to
21 test patients to this allergen because if we
22 didn't have this, then we really would be missing

1 the number two most common allergen in adults and
2 kids and, therefore, preventing them from healing
3 and recovering completely. Next slide.

4 So now the search really begins. We
5 really have to figure out where this exposure is
6 coming from. So we kind of went through all of
7 his products at home with his mother. And then
8 the only hand soap, the only new thing that they
9 had was that hand soap that they bought. And then
10 this hand soap included the methylisothiazolinone
11 that you can see on the very bottom of the page
12 here. Next slide.

13 All right. Case number 2 here, my last
14 case, this is a 15-year-old male with a history of
15 type 1 diabetes and for the last year has been
16 using a continuous glucose monitoring system, the
17 Dexcom G7. Ten days after starting the Dexcom, he
18 developed this rash that you can see here in this
19 photograph at the site of the Dexcom placement.
20 Mom has tried a lot of different over-the-counter
21 barrier tapes and different types of bandages, and
22 all of that has had very limited efficacy. So he

1 came in for patch testing. Next slide.

2 We patch tested him and he was found to
3 have colophony allergy as well as some of these
4 other adhesive allergies. Next slide.

5 And he had an acrylate panel that was
6 there for negative. Next slide. So looking at
7 the different types of glucose monitors that we
8 have, now a lot of these are not publicly
9 available information. Right? This is where
10 clinical studies really come in handy in having a
11 spectrum of allergens that we can potentially test
12 patients to be really useful. Because these
13 companies come out with these adhesives and these
14 sensors with these various different types of
15 allergens. And some of these are not readily
16 available allergens, some of these are not things
17 that we might have ever heard about before.

18 One of these allergens here is something
19 called isobornyl acrylate that really came to our
20 attention about five, six years ago when we
21 started finding it in the FreeStyle Libre. And
22 since then we've really seen an epidemic of people

1 being allergic to this allergen. And I think
2 having the nimbleness to say we are going to test
3 for this allergen now because it's an emerging
4 allergen, that's the only way that we're really
5 going to find whether or not somebody is allergic
6 to these allergens.

7 But having the ability to test to all of
8 these things really allows us to say, well, you
9 are using this sensor and, therefore, we can test
10 you to this allergen and that's prove that this
11 allergen is the problem. And then let's switch
12 you to something else because we're able to say
13 you're negative to these allergens, too. And this
14 really leads to a significant positive quality of
15 life outcome, as well as control of their
16 diabetes. Because so many people now rely on
17 these sensors and insulin pumps to be able to kind
18 of control their day-to-day blood sugar.

19 But looking at this list here, you know
20 where is G7, right? Where is the Dexcom that he
21 is using? Certainly it is not on this published
22 paper. So now we have to figure out what's in the

1 G7. So next slide.

2 So this, fortunately, this paper that
3 came out in 2024 looked at and analyzed the
4 different types of adhesive chemicals that was in
5 the G7 and they found not only isobornyl acrylate,
6 or IBOA there, which we tested him for and he was
7 negative, He actually had colophony which we also
8 tested him for and he was indeed positive. Really
9 leading us to say, well, that is really the
10 culprit allergen. G7 is your problem and let's
11 switch you to a different glucose sensor and then
12 see what happens. Next slide.

13 So what does this all mean now? So the
14 more allergens that you test, the more likely you
15 are to get a relevant positive result. Because if
16 you don't know what they're positive to, you're
17 really not going to be able to figure out what to
18 test them to and really not going to be able to
19 figure out how to get them to avoid that. And
20 patch testing is potentially life-changing in
21 children. And hopefully, from this presentation
22 you all have gained an appreciation for how

1 important it is for patch testing evaluation in
2 this population.

3 Thank you all very much.

4 DR. KASLOW: Great. So thank you, Dr.
5 Yu, for bringing the pediatric considerations in
6 patch testing for ACD and the importance of
7 actually distinguishing between and
8 differentiating from atopic dermatitis.

9 Before going to our panel Q&A, I think
10 we are going to have a couple -- or video
11 testimonials of the patient experience. So I will
12 turn it back to Corey.

13 MS. BERNARDONE: So my name is Madeline
14 Meyer Bernardone (phonetic). I suffer from
15 contact dermatitis. I had my first allergic
16 reaction late last year and I had this recurring
17 rash all over my face that was red and bumpy. And
18 I wasn't sure exactly what was causing it and it
19 affected my life quite significantly. I'm a
20 professional. I am in court multiple times a
21 week. I have to look professional. And a lot of
22 times that comes with wearing makeup. And when

1 you're having this rash all over your face that
2 you don't know what's causing it, it made me, you
3 know, hesitant to wear makeup, hesitant to be in
4 front of people. So I knew that I needed to get
5 assistance for it.

6 I first went to my normal cosmetic
7 dermatologist that I was seeing for melasma and I
8 showed her pictures of my face and I was just told
9 that maybe I was using products that were too
10 abrasive and that I needed to just kind of pare
11 back on that. I knew about a dermatologist at
12 Mayo Clinic that specialized in allergens and sent
13 him some photographs of my face. And right away
14 he was like, that's eczema, that's contact
15 dermatitis, and you should come in for patch
16 testing to figure out exactly what you're allergic
17 to.

18 So I first came in for the patch
19 testing, I think summer, earlier summer of this
20 year, and I did the full panel on my back and I
21 think I did the subset as well for the less common
22 allergens. And came back that several products

1 that I was using were likely the cause of the rash
2 that I had on my face. And I was able to learn
3 exactly what I was allergic to and then figure out
4 what products that I needed to avoid.

5 In doing that I have not had a rash on
6 my face since I did the patch testing. I know
7 exactly what products to avoid and it's really
8 positively affected my life in quite a dramatic
9 way to know that all of a sudden I'm not just
10 going to have a rash all over my face. So I'm
11 really grateful that I was able to go through the
12 patch testing process.

13 And I think the most important takeaway
14 from patch testing is it's something that's really
15 necessary because there's so many ingredients and
16 products now, it's really impossible to just try
17 to eliminate all of your products and start back
18 up one at a time. That wasn't possible for me.
19 Like I said, I need to wear makeup, I need to look
20 professional. So this gave me a way to find out
21 exactly what I was allergic to, avoid it, and then
22 start to select products that weren't going to

1 cause this rash.

2 MR. NIMJEE: Good afternoon. My name is
3 Shahid Nimjee. I'm a vascular neurosurgeon. I'm
4 giving this testimony as it relates to my
5 experience with patch testing as I received it
6 more than a decade ago to deal with lesions that
7 were coming up on my hands. It was inhibiting me
8 from comfortably doing my job. It was causing
9 what I found out later was eczema and inflammatory
10 lesions on both my hands and going up my arms.
11 And we didn't know what it was.

12 Thanks to patch testing, I learned that
13 I have a rubber accelerant allergy that was likely
14 acquired in the course of wearing surgical gloves
15 for so many years. And then in addition to that,
16 wearing gloves during my Ph.D. before that. The
17 patch testing allowed me to get appropriately
18 diagnosed. It then allowed me to find
19 alternatives that to this day I use in the OR to
20 operate on patients and fulfill my
21 responsibilities as a surgeon. And I'm very
22 grateful for the availability of such a service to

1 allow me to keep doing what I do today. Thank
2 you.

3 MS. ERTEL: My name is Eva Ertel. I am
4 from Buffalo and I have a longstanding history of
5 contact dermatitis, also known as eczema. I have
6 had a longstanding issue with eczema since I was
7 around two years old. It's gotten worse since I
8 was five years old and I'm still dealing with it
9 to this day.

10 When I first started feeling the
11 symptoms of eczema, I went to a lot of
12 pediatrician doctors, various different doctors,
13 including different allergists, and all of them
14 were very consistent with giving me a gluten
15 allergy diagnosis, a milk allergy diagnosis, and
16 they all ended up being prognoses because I was
17 not able to find out what my real allergies were
18 until I was able to get patch tested.

19 Between the time that I was patch tested
20 and the time that I have been in contact with
21 different doctors, many of them prescribed more
22 antihistamines, topical and oral steroids rather

1 than giving me the suggestion of getting patch
2 tested. It was only until about 2015 when I was
3 able to meet with Dr. Susan Nedorost. She worked
4 at Case Western University in Ohio, and I was able
5 to get patch tested by her. And I found out that
6 I was allergic to lauryl glucoside, vitamin E, and
7 propylene glycol. And by using the elimination
8 diet for my food and eliminating all the products
9 out of my reach, whether that being makeup,
10 lotions, or food, like I mentioned, I was able to
11 be clear within 18 months. And for the past 10
12 years I have been clear of eczema with the
13 occasional flare-up.

14 Within the past year I've had a
15 six-month long flare-up and I had burning rash
16 same as it was when I was a kid. It felt like my
17 whole fire was on -- my whole body was on fire and
18 I had hives everywhere. I had raw burning skin to
19 the -- it was hot to the touch and I was
20 continuously inflamed. I was not able to feel
21 comfortable in my own skin. And for a long time
22 it debilitated my mental health.

1 And I went to see other doctors and
2 allergists within those six months and they
3 prescribed me the same topical and oral steroids
4 that have a big part of the allergy that I'm
5 allergic to, which is propylene glycol, and I was
6 not able to take those. And so I went to get in
7 contact with my previous dermatologist from Ohio,
8 Dr. Susan Nedorost, and she was able to repatch
9 test me again. And I found out that I was
10 allergic to six different allergens.

11 And patch testing has really changed a
12 lot of my perspective within my lifetime because
13 whether I get jolted or told to take oral steroids
14 or take antihistamines, I know that with my past
15 experience I've been able to find out my allergens
16 through patch testing. And a lot of different
17 doctors 10 years ago and up to this day where I've
18 had another flare-up in the past six months, that
19 many dermatologists, allergists, or regular
20 doctors will guide me to the route of taking
21 different medications instead of getting patch
22 tested. I think that patch testing has really

1 affected the way that I am able to freely live my
2 life without much eczema to this day. And I
3 believe that it's super, super important. And not
4 many people know about it from different stories
5 of eczema that I've read online or talked to.

6 And I really appreciate being able to
7 not have to take a long-term steroid. And I'm
8 able to eliminate any of my allergens from my
9 diet, whether that's propylene glycol or my most
10 recently found allergies, which is gold, lauryl
11 glucoside, decyl glucoside, pivalate 21, which is
12 a class of steroids. I'm actually allergic to a
13 class of steroids, which I wouldn't have known if
14 not for the patch testing, which is really life
15 detrimental. And if I were to have a serious
16 medical emergency, I would have had a systematic
17 reaction to that specific steroid.

18 So eczema still impacts me to this day
19 because I currently have to worry about steroids
20 and whether those are being used for my skin for
21 an occasional flare-up or for any medical
22 emergencies that I may have. And I think that

1 it's just important to continue to speak about
2 patch testing and to bring that knowledge into
3 focus for sure.

4 And like I said, eczema still impacts me
5 to this day. I still have to read labels every
6 day. And there are about 20 different analogous
7 names for propylene glycol like propanediol,
8 methylethylene, and PPG-dash-a certain number.
9 And it's very hard to explain to an average person
10 that may not deal with this. So I'm grateful for
11 the knowledge that patch testing has gave me and I
12 wouldn't have it any other way personally.

13 And I still have the occasional
14 environmental flare-up every once in a while, but
15 it's very detrimental to my mental health, my
16 sociability, and whether I feel comfortable in my
17 own skin. So I think it's important to really
18 bring patch testing into a wider scope and that I
19 really find it's important to fix what you can
20 with different types of medicine, whether that's
21 Western or holistic. And wherever patch testing
22 falls, I find that it's super, super important to

1 take that route and it could be solvable or at
2 least lessen your symptoms, which for me were
3 horrible personally. And I really appreciate that
4 as an option. And I will continue to advocate for
5 patch testing for other people who may deal with
6 eczema, whether that's a younger kid or an older
7 adult. I think it's just important to have that
8 knowledge that may not be available to you.

9 SPEAKER: Thank you so much.

10 DR. COOK: Okay. Hi. My name is Dr.
11 Jonathan Cook. I'm a skin cancer surgeon at the
12 Duke University Medical Center in Durham, North
13 Carolina. It's a privilege to be here today.

14 I had a history of childhood eczema or
15 atopic dermatitis that kind of became quiescent as
16 I became an adolescent. And then when I started
17 my career in healthcare, I developed a extremely
18 debilitating hand dermatitis. My hands were
19 affected with an itching, burning eruption that
20 limited my work and, quite frankly, gave me grave
21 concerns that my career would be limited.

22 The symptoms really started late in my

1 training, but they really started to become
2 crippling several years after I began clinical
3 practice. I've been in practice for 28 years and
4 the symptoms became dramatic enough that not only
5 did I have fears that my occupational success
6 would be curtailed, but I was to the point that I
7 required treatment with systemic corticosteroid
8 medications multiple times a year just to be able
9 to do my job.

10 I finally saw an occupational
11 dermatologist, a contact dermatitis specialist,
12 about 15 years ago under the presumptive diagnosis
13 of a glove dermatitis, which can be quite
14 problematic in healthcare providers. I had
15 already transitioned out of latex-containing
16 products like many healthcare providers were doing
17 at that time, but my symptoms persisted, so I
18 sought professional help. I underwent extensive
19 patch testing under the direction of a suitable
20 specialist, again with a presumptive diagnosis of
21 contact allergy of a glove, which, again, is quite
22 common in healthcare providers.

1 After an extensive series of patch
2 testing, I had a relevant positive reaction to a
3 chemical called 1,3-diphenylguanidine, which is in
4 latex gloves as an (inaudible) in those gloves.
5 But oddly enough, the chemical is also present in
6 in some non-latex-containing gloves, even the
7 isoprene gloves that my health system had
8 recommended that we transition to.

9 So after I identified, with the aid of
10 my specialists, this potentially offending
11 chemical, I sought out the use of a product that
12 did not contain 1,3-diphenylguanidine and, in
13 fact, there is one brand of non-latex surgical
14 sterile gloves that do not contain this offending
15 chemical. The gloves are not easy to get, but the
16 identification of the cause of my allergy and the
17 avoidance of this allergen has dramatically
18 improved my quality of life. My hand dermatitis
19 has resolved, my ability to continue my surgical
20 practice has been preserved, and my comfort with
21 my occupational requirements is restored.

22 The value of patch testing in my case

1 was that it identified a single putative agent
2 that may be related to my dermatitis. Once that
3 antigen or that chemical was identified, a strict
4 avoidance of that has resolved my hand dermatitis,
5 allowed me to continue to be healthy and
6 productive. Without patch testing, I'm not sure I
7 would have ever identified the cause of my
8 dermatitis because, again, this chemical is
9 present even in many non-latex containing gloves,
10 certainly present in the gloves that were
11 recommended to physicians in my health system. So
12 patch testing has really improved the quality of
13 my life and has improved my health and my care of
14 patients.

15 So I strongly support the value of patch
16 testing and I would be happy to address any
17 questions further. Thank you.

18 DR. KASLOW: So FDA would like to thank
19 all the patients for their video testimonials.

20 And we are now going to go to about a
21 30-minute panel Q&A session. And for that session
22 we'll be joined by Dr. Aquino, who is the

1 professor of pediatrics at the Warren Alpert
2 Medical School at Brown University, and is a staff
3 physician at Brown University Health in Rhode
4 Island and in the Division of Allergy and
5 Immunology. And then also Dr. Belsito, who is the
6 Leonard C. Harber emeritus professor of
7 dermatology, Columbia University, Irving Medical
8 Center, and clinical professor of dermatology at
9 NYU Langone Medical Center.

10 So perhaps to get the session going,
11 maybe I'll first turn to Dr. Aquino and then Dr.
12 Belsito for any opening remarks. And I also ask
13 all of the speakers maybe to turn their video on.
14 And after those introductory remarks, we'll go
15 through about the 10 questions we already have in
16 the chat.

17 So let's see, do we have all of our
18 panelists this on? I don't -- let me see if I can
19 -- how I can figure this out. View like this.
20 There we go. I think we have our speakers on.
21 How about our additional panelists?

22 Dr. Aquino, are you on?

1 DR. AQUINO: I am on, but my camera does
2 not seem to be.

3 SPEAKER: Okay. There you go.

4 DR. KASLOW: Yeah. I don't know if you
5 have some opening remarks or thoughts or questions
6 you want to start with.

7 DR. AQUINO: Oh, absolutely. I want to
8 first thank all the speakers for their hard work
9 and organizing these presentations. They were
10 truly wonderful. And I'd like to thank the
11 patients who gave the testimonials. You can see
12 the impact on, you know, daily functioning, work,
13 and even mental health that contact dermatitis
14 (inaudible), you know, it just reminds us why
15 we're here today. So I thank everyone who worked
16 on organizing the presentation today.

17 I think I'll start with the first
18 speaker, if that's okay, Dr. Ehrlich. So what
19 lessons have we learned from the history of patch
20 testing and what can we -- how can we move
21 forward?

22 DR. EHRLICH: Absolutely. Thank you.

1 So what we've learned is that patch testing has
2 been utilized for many years. It is a safe tool
3 for the diagnosis of allergic contact dermatitis.
4 And it's very important that we can continue to
5 use this tool to the fullest of our abilities and
6 have as many happens as possible to utilize.
7 Because as we know from the other talks, the more
8 happens we have available, the more likely we are
9 to find the answers that we're searching for.

10 DR. AQUINO: Excellent. Dr. Belsito,
11 are you on or should I keep going?

12 DR. BELSITO: No, I'm here. So I would
13 reiterate what Marcella said, all the speakers
14 were incredible and the patients were incredible.
15 I think that we've really got a good understanding
16 of how important patch testing is.

17 For Dr. Pacheco, did I understand that
18 18 percent or so of occupational allergic contact
19 dermatitis would be missed even with an extended
20 panel of patch test? Is that correct?

21 DR. PACHECO: Well, so the paper of
22 which you were an author, I wish to point out,

1 suggested that there were supplemental allergens
2 of which 5 percent would not have been identified
3 had they not been included. And another, I guess,
4 12 or 13 percent that reacted to the regular panel
5 but also needed supplemental allergens. So it
6 does suggest that sometimes you have to broaden
7 the patch testing that you're doing if you want to
8 pick up the relevant allergen.

9 DR. BELSITO: I think that's
10 particularly true with occupational because you'll
11 have workers exposed to allergens that most of the
12 general population is not and they become
13 sensitized to those allergens and so they're not
14 common --

15 DR. PACHECO: Yeah.

16 DR. BELSITO: -- but they're important
17 and so certain industries.

18 DR. PACHECO: I agree, I agree.

19 DR. AQUINO: Excellent. I'll go next
20 again. So for Dr. Yu, fellow practitioner in
21 children, what are you seeing in terms of allergic
22 contact dermatitis to topical therapies that we

1 use for atopic dermatitis? I know one of the
2 patients mentions contact allergy to topical
3 corticosteroids.

4 SPEAKER: Can you see the --

5 DR. YU: Yes. So topical therapies for
6 AD treatment, definitely a potential culprit that
7 we always consider, especially in those kids who
8 have longstanding eczema. They've been using
9 something and suddenly they feel like putting this
10 on actually makes their rash worse. I think
11 topical steroids is definitely a consideration.

12 When we think about potential contact
13 allergens and medications, we have to consider the
14 excipients as well. Common things being benzyl
15 alcohol, propylene glycol, any of these potential
16 additives, lanolin for example, can all be added
17 to different types of topical medications. The
18 steroids, the nonsteroids, the topical calcineurin
19 inhibitors, some of the topical JAK inhibitors,
20 all of those potentially can contain some of these
21 contact allergens that we have to screen for in
22 the right clinical scenarios.

1 DR. BELSITO: And I have a question for
2 Dr. Chen. Is contact dermatitis in certain body
3 areas, for example, the hands, associated with
4 higher costs?

5 DR. CHEN: Yes. So we know that certain
6 body parts, like the face and hands, for example,
7 are associated with higher morbidity. And there's
8 especially data for hand eczema that it is
9 associated with a high cost, which makes a lot of
10 sense since it's needed for activities of daily
11 living. You also need your hands for occupational
12 tasks.

13 DR. BELSITO: Thank you.

14 DR. AQUINO: Excellent. So back -- I'll
15 ask Dr. Chen a question again now, since she's on
16 as well. How directly does patch testing minimize
17 the cost, cost of contact dermatitis?

18 DR. CHEN: Yeah, I think it's just about
19 offering timely diagnosis. So it's recommended
20 that patch testing be considered anytime someone
21 has dermatitis that's not easily responding to
22 therapy or before starting systemic medications,

1 like Dr. Yu mentioned, since some of the time, at
2 least some of the time, we know that patients will
3 improve with just allergen avoidance, and it may
4 spare them the need to go on systemic medications
5 for eczema. So I do think that patch testing used
6 effectively and in a timely manner can greatly
7 increase -- or, sorry, greatly decrease the cost
8 of eczema and contact dermatitis in particular.

9 DR. BELSITO: Question for Dr. Yu. You
10 mentioned that patch testing is safe in children.
11 Have you ever seen a child sensitized to an
12 allergen that you tested or otherwise have a
13 significant adverse event as a result of testing?

14 DR. YU: That's a great question. And
15 then certainly one of concern, right? Because if
16 we are sensitizing kids, we're definitely doing
17 them a disservice. Of the hundreds of children I
18 have patch tested, I have never seen a case of
19 active sensitization in any of these kids. And I
20 think if you look in the literature, you will find
21 that is probably the universal experience across
22 the board.

1 I think when it comes to active
2 sensitization, perhaps we can think about
3 something like hair dye allergen, like
4 paraphenylenediamine. I think that is the only
5 one I have ever heard of. People tell me maybe
6 there is a little bit of active sensitization, and
7 for that reason we actually don't include it in
8 the pediatric baseline series at all and I don't
9 believe people do in the international series
10 either.

11 So I think the likelihood of active
12 sensitization is hot -- is heavily debated. Very,
13 very, very unlikely. And if so, two things that
14 most kids are probably not being exposed to and
15 not being tested to either.

16 DR. BELSITO: But you may want to aim
17 test PPD in that child with the black henna tattoo
18 reaction.

19 DR. YU: Yes. Yes, yes. For that rare
20 kid that may be in contact with it or for that
21 kid, for example, whose mother probably dyes her
22 hair and then, you know, sleeps on the mother's

1 shoulders and then really face is always in her
2 hair, things like that. There has definitely been
3 a suspicion for that, but I think the likelihood
4 is low.

5 DR. BELSITO: Thank you.

6 DR. AQUINO: Great. Good morning,
7 Karin. I'd like to say good morning to Dr.
8 Pacheco, my dear friend. The question in the chat
9 that I thought was very interesting. So in terms
10 of occupational contact dermatitis, how or if do
11 we factor in factory site visits or working with
12 national employment agencies to kind of help us
13 identify the allergens and improve the career of
14 our patients?

15 DR. PACHECO: So if I understand you
16 rightly, it's how do we identify the allergens
17 that may be relevant in the workplace?

18 DR. AQUINO: Yes. And then is there a
19 role of working with national employment agencies,
20 like the National Safety -- like OSHA to kind of
21 go into the workplace and help us make the
22 workplace safer for the patient?

1 DR. PACHECO: Well, you know, I have
2 been thinking about this because this, this whole
3 symposium relates to the development of more patch
4 test extracts and the occupational component is
5 really important. I think sometimes what happens
6 is that you see case reports or small case series
7 or even an individual case that suggests an
8 allergen that we haven't really thought of. And I
9 think it's those kind of case reports that drive
10 the search for new allergens.

11 You know, a lot of the national
12 databases in some ways deal with allergens that
13 are already known. And yet we all know that there
14 are a bunch of them out there that we don't know.

15 DR. AQUINO: Thank you so much.

16 DR. BELSITO: Question for Dr. Ehrlich.
17 You were talking about the epidemiology of contact
18 dermatitis. What does this tell us about
19 treatment, disease?

20 DR. EHRLICH: Yes, thank you. Regarding
21 the epidemiology of allergic contact dermatitis,
22 what we see when we look at the data is that

1 contact dermatitis has very high prevalence in the
2 U.S. and in Europe as far as when you look at skin
3 diseases as a whole, and the costs associated with
4 allergic contact dermatitis and the morbidity
5 associated with this diagnosis are significant.
6 So it's very important that we have the tools
7 necessary for diagnosis of this condition.

8 DR. BELSITO: Thank you.

9 DR. AQUINO: Excellent. A question in
10 the chat and I think this could be addressed to
11 all the speakers here. There was a comment asking
12 for more of the speakers to answer back when the
13 questions are relevant to them. So if patch
14 testing is so important, like we're discussing
15 today, why is it so hard for patients to find
16 someone who can patch test them? And myself and
17 Dr. Pacheco, along with Dr. Fonacier, who will be
18 speaking later today, did publish the state of
19 patch testing in allergy and immunology
20 fellowships.

21 DR. PACHECO: Well, I'll start because
22 we did that paper together. Many patients say

1 it's been difficult to find a dermatologist
2 willing to patch test. And so more and more it's
3 sort of turning to allergists to do the testing.
4 What our work group report basically said is that
5 we need more directed education in the allergy
6 immunology fellowship in terms of how to do patch
7 testing, how to do the interpretation, how do you
8 make the selection of the allergens that are
9 relevant?

10 So, I mean, it's hard. Patch testing
11 takes time. Right? You need several visits, you
12 need access to the extracts, and you need access
13 to somebody experienced enough to interpret the
14 results.

15 DR. BELSITO: Thank you. Question for
16 Dr. Chen and actually all the speakers. Does
17 delay in the diagnosis of allergic contact
18 dermatitis result in higher costs just not
19 considering office visits and medications?

20 DR. CHEN: Thanks for bringing that up.
21 Yes, I think that a delay in diagnosis of allergic
22 contact dermatitis results in increased costs

1 across the board. So increased lost work days or
2 the costs associated with the decreased quality of
3 life, like I was mentioning, increased restricted
4 activity days. And I think we've all seen
5 patients who have their lives dramatically changed
6 by a timely diagnosis. Would love to hear others'
7 thoughts.

8 DR. PACHECO: I would add to that that
9 you -- with timely diagnosis, you then reduce the
10 risk of having permanent, say, hand changes or
11 skin changes that can't be reversed. Right? So
12 you have to catch it early enough in order to try
13 and return the skin to its natural state.

14 DR. BELSITO: Thank you.

15 DR. AQUINO: Thank you. So again, I
16 think this is a question that can go to everyone,
17 but it was addressed to Dr. Yu, and this was
18 something that was alluded to in the earlier talks
19 this morning, is why sort of we need to do an
20 extended panel. Like why can't we start off with
21 just a basic panel like the TRUE Test that Dr.
22 Ehrlich mentions? Why do we need to go to the

1 extended panel? Why should we go to the extended
2 panels right away?

3 DR. YU: Yeah, I think, you know, so I
4 always teach my medical students and residents,
5 you know, when it comes to patch testing, more is
6 almost always more. Part of the reason for that
7 is if you were to ask a patient about their
8 exposures, they are not going to know what they
9 need to tell you that they are being exposed to
10 because seemingly innocuous things that they use
11 in a day to day basis that might be causing the
12 rash, they don't remember to mention because to
13 them it, it was something that was deemed as safe
14 or clean or whatever it may be. So by not doing
15 an extended series, as I've shown kind of in my
16 talk, that you are probably going to be missing
17 the vast majority of potential contact allergens.

18 Data really shows that the TRUE Test,
19 for example, will miss at least 40 percent of
20 relevant contact allergens in children. We show
21 that the TRUE Test can only pick up about 50
22 percent of the top 10 allergens. Whereas some of

1 the other tests where maybe we are able to test
2 more comprehensively and we are a little bit more
3 nimble about putting in relevant allergens to
4 today or emerging allergens, for example, we are
5 able to detect far more relevant allergens. And
6 if we're asking the patient to go through the
7 patch testing process, we rather do it as
8 comprehensively as possible so we can get them to
9 an answer. Because I think sometimes people can
10 also be falsely led to believe that if you are
11 testing negative on a limited patch test series,
12 the conclusion might be, I don't have an allergy
13 to anything, which is actually not true. Because
14 if you're missing 40 percent of it, well, you
15 know, you very likely could be allergic to
16 something. We're just not finding it.

17 So I do think that if we're going to do
18 patch testing, we should do it right as a service
19 for the patients. We should do it in a way that
20 most likely will detect their contact allergen
21 given their exposures. And I'm afraid that if we
22 do test only to a very limited series, we are

1 actually doing a disservice to some of these
2 folks.

3 DR. BELSITO: I mean, I would just add,
4 Jeff, that, you know, number one, patients don't
5 have a difficulty identifying the allergen because
6 the responses are delayed in time and they could
7 be using this product for months and not have an
8 issue. The other thing is, you know, as opposed
9 to prick testing, which is an immediate read, our
10 patients are going without bathing for anywhere
11 from five to seven days. Their back is covered,
12 there's lost work, for kids there's lost
13 activities at school. So that's a -- patch
14 testing is a big impact on an individual's life.
15 So you only want to do it once and not say, oh,
16 well, I forgot to do this, maybe you should come
17 back for another week.

18 DR. YU: Right. And I think to kind of,
19 you know, circle back a little bit on the access
20 issue that we are also seeing in the United States
21 and probably around the world when it comes to
22 patch testing, you know, you're absolutely

1 correct. I do think there is a lack of people
2 doing patch testing outside of major city centers.
3 Right? I mean, in some major city centers, you
4 have two, three patch testers at best in some of
5 these biggest cities and in small, smaller cities
6 or, you know, more rural areas, there are zero
7 patch testers, period.

8 But I do -- but I am a little bit afraid
9 that some of this may be due to the
10 restrictiveness of getting patch test allergens
11 and really getting started. Because a lot of our
12 graduating dermatology residents, allergy fellows,
13 don't really know where to get started when it
14 comes to how are we going to set up this patch
15 testing clinic? Where do I get these allergens
16 from? You know, and all of that. And I think
17 some of those restrictions is really preventing
18 some of us who may be, you know, wholeheartedly
19 really wanting to do something like this and try
20 to help the patient and be very limited.

21 I do think at the end of the day,
22 dermatologists probably are the leading experts

1 when it comes to patch testing because we are --
2 you know, one of the most important things I think
3 for patch testing is having that pretest
4 probability of is this an allergic rash or not?
5 And I think as dermatologists are able to tell
6 you, this is an allergic contact dermatitis, this
7 is rosacea, this is lupus, because a lot of those
8 things can look very similar. And just because
9 it's red and itchy doesn't mean it's always
10 something that we can patch test.

11 So I think as dermatologists, we are
12 acutely aware of being able to differentiate
13 between some of those conditions, really pick the
14 right patient for patch testing, and then patch
15 test them comprehensively. Because if all we have
16 at our disposal is a very limited series, we're
17 really not going to be able to help as many
18 patients as we all hope that we could be. And as
19 you have already heard today, this is a very safe
20 environment and very, you know, sensitive, and
21 pretty decent positive predictive value in the
22 right patients type of test. And I do hope that

1 we are able to kind of expand our ability to patch
2 test going forward.

3 DR. KASLOW: If I might just have a
4 quick follow-up on that one. Can you take me off
5 mute, please?

6 SPEAKER: Oh, I thought you are. No,
7 you are. Can you hear Dr. Kaslow?

8 DR. YU: Yes. Yes.

9 DR. KASLOW: Okay, great. So I actually
10 to want. I wanted Dr. Chen maybe to take -- make
11 a comment about how much patch testing is really a
12 health equity and access issue, both economically
13 and socially.

14 DR. CHEN: So I think, unfortunately,
15 access is an issue for all parts of medical care.
16 We know that patients from lower socioeconomic
17 backgrounds have less access. There's less patch
18 testing. That's been shown in database studies,
19 claims database studies. And I think that that is
20 an area where we are actively working on it. I
21 know the American Contact Dermatitis Society, we
22 have put significant effort out into kind of

1 educating more folks so that they feel empowered
2 to patch test. We also have a number of
3 initiatives within dermatology, kind of increasing
4 virtual visits and leveraging those to improve our
5 access. So I think it's a real problem currently
6 and we're aware of it and trying to address it.

7 DR. KASLOW: And maybe just a really
8 quick follow-up on in terms of testing people with
9 darker skin.

10 DR. CHEN: Yes, we do feel that darker
11 skin types have different presentations on patch
12 testing. And this is something that actually in
13 collaboration with the American Academy of
14 Dermatology, we recently had a course, the
15 American Contact Dermatitis Society recently
16 produced a course for dermatologists on the topic
17 of interpreting patch tests in skin of color.
18 Because it does look different, it's more
19 hyperpigmented, it's less obvious, and there are
20 other nuances, like it might be more papular and
21 so forth. So we are aware that that is an
22 acknowledged gap in the population and it has been

1 gaining increasing attention lately in
2 dermatology. So we do have quite a bit that is in
3 action trying to address that gap.

4 DR. BELSITO: Question for Dr. Yu, but
5 really I think for all the panel, and this was
6 really brought up by the patient of Dr. Susan
7 Nedorost, specifically the question was, do
8 pediatric patients need repeated patch testing
9 over the years as they grow? But I would say, do
10 even adult patients need repeated patch testing
11 sometime?

12 DR. YU: Yeah, and I think that is, you
13 know, that that is a great question because we are
14 not sure if kids "grow out" of their allergens.
15 Right? There are some studies that show certain
16 allergens, like aluminum, for example, the
17 prevalence of aluminum allergy is much higher in
18 kids between the ages of zero and five, then
19 really decreases throughout, you know, childhood
20 and then adolescence, too. Does that happen for
21 other allergens as we get further and further away
22 from exposure? Because our exposure to aluminum

1 in children largely is coming from certain types
2 of vaccines and things like that.

3 So I don't think we know the answer to
4 whether or not people routinely kind of grow out
5 of certain allergens. But I do think repeat patch
6 testing, like that patient, is important when
7 patch testing maybe to a certain series of
8 allergens. It gets you part of the way better, or
9 you're completely better, and then you start
10 developing the rashes again. Because can you be
11 sensitized throughout life? I think we all know
12 the answer to that. The answer is absolutely yes.
13 Right? You can get a new sensitization when
14 you're 90 years old or you can get a new
15 sensitization when you're 15 years old. Age is
16 really not a discriminating factor here.

17 So I do think repeat patch testing,
18 especially when the clinical scenario presents
19 itself, that looks like ACD, absolutely, if
20 avoidance of the original allergens has not
21 helped.

22 DR. BELSITO: Thank you.

1 DR. PACHECO: And I wanted to add a
2 comment on Dr. Belsito's note in the chat saying
3 that patients don't understand actually that you
4 have to be exposed to develop an allergy. And
5 again, often these are products that they may be
6 using all the time. So how could that cause a
7 problem?

8 DR. BELSITO: Yeah, I think the latency
9 is really hard. You know, as opposed to IgE, you
10 have a shrimp and you break out in hives, you can
11 sort of see that correlation. It's not true with
12 patch test allergens.

13 DR. AQUINO: Question for Dr. Ehrlich.
14 So supposing we have a patient with atopic
15 dermatologists and we may not have a lot of
16 backspace to patch test, what are other locations
17 that can be used for patch testing?

18 DR. EHRLICH: So the inner arms,
19 sometimes the thighs, even the abdomen worst-case
20 scenario. That's not the best area because people
21 bend over. But yeah, definitely thighs, inner
22 arms, because those areas you're not flexing a

1 joint over, so you can usually get the patches to
2 stay on those areas.

3 DR. BELSITO: And for Dr. Yu, what is --
4 in your experience, what percentage of patients
5 with atopic dermatitis have concomitant ACD?

6 DR. YU: I might have a little bit of a
7 bias here just because, you know, most of the kids
8 that I end up getting referred for patch testing
9 have atopic dermatitis. So I would say think, you
10 know, probably for me at least, closer to 50
11 percent of those kids have some sort of ACD that
12 is superimposed on their atopic dermatitis.

13 If you look at the general literature,
14 they will oftentimes quote numbers around 20 to
15 percent of kids who have atopic dermatitis have
16 allergic contact dermatitis. Do I think every kid
17 with AD should be patch tested for ACD? I
18 definitely don't. I do think most of those kids
19 probably have bona fide atopic dermatitis on its
20 own. But I do think that there is a significant
21 subset that we're probably missing of kids with AD
22 that have some superimposed ACD. And the only way

1 we're going to find that out is by patch testing
2 them.

3 DR. AQUINO: All right. I think one
4 final question came up in the chat. This is from
5 Dr. Fonacier, my old mentor and colleague, and
6 she's asking, and I think anyone can answer this
7 question, can someone talk about the
8 reproducibility of patch testing?

9 DR. YU: I think this really comes down
10 to your ability to interpret patch testing.
11 Right? I think sometimes if you are not an
12 experienced patch tester, you may misinterpret an
13 irritant reaction. You may misinterpret a
14 doubtful reaction for a positive reaction. And in
15 those cases, if you were to repeat that, you are
16 not likely going to find a positive reaction again
17 on another patch testing.

18 But that being said, for a lot of my
19 patients who are coming to me for second opinion
20 after having been patch tested by someone who may
21 be less experienced with patch testing, I
22 oftentimes find not only, you know -- so, yes,

1 sometimes I do find reproduced allergens,
2 certainly for the most common ones, like nickel,
3 for example, which is so easy to see and very
4 often quite positive in some of these patients.
5 But I do pick up on some of the allergens that
6 perhaps people misinterpreted as being an irritant
7 or misinterpreted as being a doubtful reaction,
8 or, more importantly, patch tested them to a much
9 more expanded series where now I am finding new
10 allergens that are not only positive, but are
11 clinically relevant that they would have never
12 known about if we didn't go about the more
13 expanded routes.

14 DR. BELSITO: But it is a bioassay, and
15 so things that patients are doing can interfere
16 with it, too, like sun exposure, inadvertent
17 steroid use. So there are things that can, you
18 know, interfere with the testing. And you need to
19 question the patient before you start testing them
20 as to whether they may be on any of those agents
21 that could interfere with your reactions.

22 DR. YU: But I do think, Don, if you

1 were to patch test someone, I were to patch test
2 after, I bet we would find very, very, very
3 similar reactions between the two of us. So I do
4 think that between experienced hands,
5 reproducibility is quite high and quite good.

6 DR. BELSITO: I would agree. And
7 question for you, Jeff, that next to the last
8 patient was talking about newly diagnosed
9 propylene glycol allergy. With propylene glycol
10 and steroids and, you know, some of the newer
11 medications that have come out for atopic
12 dermatitis, what do you find the incidence of
13 propylene glycol allergy is in kids?

14 DR. YU: Gosh. I think the likelihood
15 of propylene allergy, if you were to look at all
16 of the kids in general, not very high. And I
17 don't think that is different from the adult
18 population either. I would probably estimate 2 to
19 3 percent, you know, overall probably have some
20 sort of propylene glycol allergy.

21 That being said, when it is positive, it
22 is highly relevant because which kid with atopic

1 dermatitis has not been exposed to a topical
2 steroid that likely has propylene glycol in it.
3 Right? And a lot of our nonsteroidals nowadays
4 also contain propylene glycol. So it does become
5 a challenge to make sure that we can find a
6 appropriate alternative for them that does not
7 include the excipient.

8 We've also seen propylene glycol in some
9 oral medications. So liquid antihistamines that
10 are given to children can also have propylene
11 glycol. And I've certainly seen cases of kids who
12 have a full body atopic dermatitis that was
13 thought to be just very severe atopic dermatitis,
14 in fact, be a systemic allergic contact dermatitis
15 to ingestive propylene glycol.

16 So I think that it is common enough and
17 I think it is severe enough that we should patch
18 test kids to propylene glycol routinely. But do I
19 see it in every kid? I don't, which is, you know
20 -- which I think mimics blends of propylene glycol
21 overall in other studies that have been published.

22 DR. BELSITO: Thank you. Marcella, are

1 you seeing any questions that I've missed?

2 DR. AQUINO: No, I was just taking a
3 quick peek. I don't see any other questions that
4 we've missed and I do think we're probably running
5 out of time, if I'm not correct.

6 DR. KASLOW: Correct.

7 DR. AQUINO: So then on behalf of myself
8 and Dr. Belsito, we'd like to thank again all the
9 panelists for the robust discussion and the
10 patient testimonials and the participants for
11 today's morning meeting.

12 DR. KASLOW: So let me also thank the
13 two panel moderators and let me thank all of our
14 panel presenters for really doing a nice job
15 setting the stage of this workshop and really
16 validating the unmet need and the burden, be it
17 economic, social, or mental health.

18 So we're going to now to take a break
19 until 11:20 a.m. Eastern Daylight Time and then
20 we'll turn the moderation over to Dr. Sharon
21 Tennant for the session on Regulation of ACD
22 Diagnostics. So thanks again all.

1 DR. BELSITO: Thank you.

2 DR. AQUINO: Thank you.

3 (Recess)

4 DR. TENNANT: Good afternoon, everybody.

5 Can you -- I assume you can hear me okay.

6 SPEAKER: Yes, we can.

7 DR. TENNANT: Great. Thank you.

8 Welcome to the second session of the ACD Workshop.

9 And this session will be on the "Regulation of

10 Allergic Contact Dermatitis Diagnostics." My name

11 is Sharon Tennant and I'm the acting director of

12 the Division of Bacterial, Parasitic, and

13 Allergenic Products in the Office of Vaccines

14 Research and Review.

15 We have four speakers in this session.

16 We don't have a scheduled Q&A for this session,

17 but if time permits, we will take some questions

18 before our lunch. But we'll plow through each of

19 the presentations first and see how we do with

20 time.

21 It's my pleasure to introduce the first

22 speaker of this session, Dr. Ronald Rabin. He is

1 the chief of the laboratory of immunobiochemistry
2 and he really has been instrumental in
3 spearheading this workshop and bringing everybody
4 together.

5 And with that, I will hand over to Ron.

6 DR. RABIN: Okay. Thank you, Sharon.
7 So this is going to be a short talk because the
8 history is of regulation of these products is
9 fairly straightforward. I have no conflicts of
10 interest. And now there we go.

11 And so the first thing to know is, of
12 course, that there's a law behind how we regulate
13 these. And Dr. Kaslow referred to that, that they
14 -- that we have the authority to regulate these
15 patches by Section 351 of the Public Health
16 Service Act, which defines a biological product as
17 a virus, therapeutic, serum, toxin, antitoxin,
18 vaccine, blood, blood component or derivative
19 allergenic product applicable to the prevention,
20 treatment, or cure of disease. And then there's a
21 definition of a drug. And then this was clarified
22 in a Federal Register Notice in 1986, that

1 chemicals that are intended for commercial
2 marketing and used for patch testing in humans are
3 biological products and, therefore, they're
4 licensed under the U.S. Public Health Service
5 Act, including labeling indicating use for
6 diagnosing hypersensitivity.

7 The first patches that actually went
8 through the approval process and were approved
9 were these 11 patches. Pharmacia was the company
10 that had them. They were 12 patches, 11 haptens
11 and the negative control. And I think you've seen
12 these chemicals enough this morning, I won't read
13 them off to you.

14 The next sort of advance, if you will,
15 was the TRUE Test 23 hapten panel that was
16 approved in 1994. And then let's see. Hold on.
17 I'm sort of-- sorry, the advanced setting. Okay,
18 there we are. I see now.

19 Okay. And then in 2007 [sic] and 2008
20 we approved five additional haptens and seven
21 additional allergens in 2012. And that sort of --
22 and then what happened was that SmartPractice then

1 designed a rubber panel and that was approved in
2 2017. These five allergens and then -- and
3 obviously the negative control are all part of the
4 standard 36 patch TRUE Test panel. But here they
5 were marked -- they're in this platform of the
6 rubber panel.

7 So how do we -- the clinical studies
8 that we've been having -- that we've been asking
9 for are what we thought -- we have considered to
10 be a fairly low bar, to be quite candid. They're
11 open label, they're not randomized, they're not
12 blinded. They include a number of subjects that
13 are known to be sensitive to the hapten. And then
14 we've included -- we've said that we would want to
15 include consecutive subjects with a history of
16 contact dermatitis without previous past test
17 reaction. And I want to go back to that in a
18 second. But then that would be compared with a
19 petrolatum or solvent-based positive control in a
20 Finn Chamber type setting as gold standard. And
21 the reported results are simply agreement between
22 the two tests and what would be considered

1 sensitivity and specificity.

2 Now, it's interesting that we did choose
3 the consecutive subjects with the history of
4 contact dermatitis without previous test reaction
5 because I sort of wonder whether or not that was
6 the best way to go about it. And I'm looking
7 forward to hearing some of the discussion whether
8 or not a better way to have gone about it would
9 have been consecutive subjects who would -- might
10 have gone to a dermatologist office with no
11 suspicion of allergic contact dermatitis. And
12 then, you know, presumably, you could get a very
13 solid number about, you know, irritants and
14 questionable reactions and get a little bit more
15 information about performance of the patch.

16 But that being the case -- and then when
17 we ended up publishing, when we ended up, you
18 know, granting licensure for these patches, we
19 were -- you know, we scratched our head a little
20 bit because some of these numbers that came up
21 were less than ideal. And so basically, what we
22 did was we just simply published the data, you

1 know, as they were, so that the practitioner could
2 understand the quality and the performance of the
3 product, you know, as it tested.

4 And this is one of them, you know, the
5 MDBGN. And you could see that the sensitivity
6 was, you know -- and was not, shall we say, ideal
7 for this particular patch either in the group that
8 was thought to be patch test positive and those,
9 excuse me, please, and then those who were the
10 consecutive subjects. And I sort of wonder
11 whether or not part of the problem with that is
12 that if somebody knows that they're sensitive to
13 the particular chemical and then you don't see
14 them for, you know, who knows, 10 years, you know,
15 they might not have that reaction, that amnestic
16 response might not occur with the very first
17 exposure right after that. It might take a little
18 bit longer. But at any rate, that's -- those are
19 the numbers we see.

20 This is one, the hydrocortisone
21 17-butyrate, that certainly gave some better
22 numbers and that was sort of the range of the kind

1 of numbers that we were dealing with. And quite
2 frankly, from our perception, a little bit of the
3 frustration with regard to whether -- what kind of
4 bar do we set for these products and what kind of
5 data do we need to really be comfortable that
6 we're licensing the best patch to as a diagnostic
7 device for these products?

8 But that being the case, here's the TRUE
9 Test panels. We're going to hear more about
10 these. Obviously, these are the panels that are
11 available in the U.S., so I won't burden you with
12 them. That's where we are now, along with these,
13 which, of course, include these five allergens
14 that are in the rubber panel. And that's kind of
15 where we are.

16 And that's all that I'm going to share
17 with you, that I have to share with you about
18 where we are with licensing these in the U.S. If
19 I had more to say, you probably wouldn't need this
20 workshop.

21 DR. TENNANT: Great. Thank you, Ron.
22 We'll move on now to our next speaker, Dr. Joel

1 DeKoven. If you can share your slides, please,
2 Dr. DeKoven.

3 DR. DeKOVEN: Actually there was a
4 technical problem, so it's going to be done on
5 your end. There we are. So whenever I say
6 "click," then you can move forward with the slide.

7 SPEAKER: Thank you.

8 DR. DeKOVEN: I'm going to be talking --

9 DR. TENNANT: Go ahead.

10 DR. DeKOVEN: -- oh, sorry.

11 DR. TENNANT: I'm just going to briefly
12 introduce you. So, Dr. DeKoven is a professor in
13 the Department of Medicine at the University of
14 Toronto, Canada. He's currently a consultant in
15 the Division of Dermatology at Sunnybrook Health
16 Sciences Center and the Division of Occupational
17 Medicine at St. Michael's Hospital. He's a
18 diplomat of the American Board of Dermatology and
19 has Royal College specialty certifications in both
20 dermatology and public health and preventive
21 medicine, as well as a master of Health Sciences
22 from the University of Toronto. And he's going to

1 be talking about the "Regulation of ACD
2 Diagnostics in Canada."

3 Please, over to you, Dr. DeKoven.

4 DR. DeKOVEN: Thank you. Thank you to
5 Ron for inviting all of us to present these
6 Important topics. Click.

7 This is an outline of what I'm going to
8 be talking about. And we've had some discussion
9 about what is patch testing. I'll go through a
10 drug and food regulation Canada with respect to
11 topical allergens and the regulatory oversight of
12 the topical allergens, how they're licensed in
13 Canada through the regulatory pathways, and what
14 are the benefits to the population and physicians
15 as a whole. Click. Click.

16 That's good. Okay. We've already found
17 out that patch testing, in a manner of speaking,
18 is a gold standard diagnostic tool for type 4
19 hypersensitivity. And we've seen that it is safe
20 in both the adult and pediatric populations. Dr.
21 Jeff Yu provided a great talk about that. And
22 there's a body of literature that supports that

1 and along with the long history that was presented
2 by Dr. Ehrlich. They're considered to be safe and
3 there's a few known minor complications.

4 What allergens are going to be selected
5 depends on the patient history that's extracted,
6 the physical examination, and also the
7 availability of allergens. And I guess I could
8 say in Canada, availability of allergens is not
9 really at the top of the list of problems with
10 respect to patch testing. Interpretation, of
11 course, requires a lot of training and experience,
12 and this enables avoidance of particular personal
13 allergens and then suitable substitutions, as we
14 heard with one of the videos of appropriate
15 accelerator-free gloves. Click.

16 It's a personalized diagnostic
17 procedure, so we're using it as a bioassay. And
18 most of these allergens that are being tested have
19 been available globally in various approved forms
20 for many, many years. Of course, no two patients
21 have the exact history or the potential contact
22 allergen exposure. And comprehensive topical

1 allergen availability is really essential to me
2 and my practice and my colleagues in order to
3 identify the relevant allergens. And this will
4 facilitate avoidance. Click.

5 So some of what I'm going to talk about
6 is for the benefit of FDA regulators and some is
7 for the general audience. And Canada has a
8 multilayered system for regulating drugs and
9 foods. And before they're authorized for sale in
10 Canada, Health Canada will review for safety,
11 efficacy, and quality. The substances will be
12 divided into drugs, device, or some combination.
13 And once it's classified as a drug and not a
14 device, they're further categorized by natural
15 health products, biologics, or pharmaceuticals.
16 The classification determines the regulatory
17 pathway, whether it's a drug, device, natural
18 health product, and the Biologic and
19 Radiopharmaceutical Drugs Directorate is
20 responsible for regulating these biologics.
21 Click.

22 This is just the schemata of showing how

1 drugs and foods are regulated in Canada and we can
2 see divided up under the Food and Drugs Act in
3 Canada: Drugs, food, devices, and cosmetics. So
4 depending on where we are under the drug category
5 will determine what regulations apply. Click.

6 Here we're talking -- no, they went too
7 fast here. We're talking about the biologic
8 division and what regulations apply to that. So
9 everything I'm going to be talking about derives
10 from the biologic division. Click.

11 So in order to sell topical allergens it
12 needs Health Canada authorization and there's a
13 screening submission for regulatory compliance and
14 the BRDD provides the final market authorization
15 of the biologic drugs. There's another division
16 that ensures compliance with plain language
17 labeling. And these allergenic products fall
18 under Schedule D of the Food and Drugs Act and
19 they're subject to following divisions of Part C
20 of the Food and Drug Regulations. So we have a
21 general requirement applicable to all drugs and we
22 have establishment, licensing, good manufacturing

1 practices, and other regulatory requirements.

2 Click.

3 And an onsite evaluation of
4 manufacturing facilities as well as in-house
5 laboratory testing may be conducted as part of the
6 regulatory review. So it doesn't have to be
7 conducted in Canada and this may be through
8 agreements with other jurisdictions, for example,
9 countries in the EU. Click.

10 So there's topical allergens that are
11 submitted under a DIN-B submission package and
12 this has various regional informations, but it
13 also has a summary of the quality, chemistry,
14 manufacturing controls, nonclinical study, reports
15 and clinical study reports. Click.

16 Aside from that, there's two main
17 divisions. That's the drug establishment license
18 and the good manufacturing process practices.
19 Click.

20 So the drug establishment license is
21 necessary in order to distribute these topical
22 allergens in Canada and good manufacturing

1 practices need to be demonstrated. Click.

2 Under that, Health Canada can enforce
3 regular inspections of facilities looking at
4 manufacturing, packaging, labeling, testing, et
5 cetera. And there's agreements between Canada and
6 many EU countries so that inspections that are
7 done by countries, let's say Sweden, for example,
8 or other countries in Europe, there are agreements
9 with Health Canada and those countries. Click.

10 So there is the agreement called CETA,
11 which recognizes mutually compliance and
12 enforcement program of GMP for the pharmaceutical
13 products between the European Union and Canada.
14 And this has been in effect since 2017. Click.

15 And these list of products within the
16 CETA protocol will include human pharmaceuticals,
17 human biologicals, and immunologicals such as
18 topical allergens, and human radiopharmaceuticals.
19 Click.

20 This provides a link to the countries
21 that have agreements with Canada and there's many
22 of them. To give you an example, we have Austria,

1 Belgium, Denmark, Finland, Germany, Ireland,
2 Italy, Portugal, Spain, et cetera. Next.

3 In 2017, this was the groundbreaking
4 measure for approval of topical allergens. Health
5 Canada issued four DIN numbers to -- DINs to
6 distinguish subgroups based on the type of active
7 substance and associated vehicle. Click.

8 So subgroup 1 consisted of 24 products
9 that were registered. An example would be
10 formaldehyde 2 percent in water. Click.

11 Subgroup 2 were solid active substances
12 in a liquid vehicle of all 29 products. An
13 example would be cadmium chloride or shellac.
14 Click.

15 Subgroup 3 would be liquid active
16 substances in a semi-solid vehicle, like
17 petrolatum; 162 products were approved in this
18 category. And examples would be hydroxyethyl
19 methacrylate 2 percent in petrolatum or bisphenol
20 A epoxy resin 1 percent in petrolatum. Click.

21 And subgroup 4, a solid active substance
22 in a semi-solid vehicle. And this was the bulk of

1 the approval, 343 products. An example here would
2 be potassium dichromate 0.5 percent in petrolatum
3 or, for example, lidocaine 5 percent in
4 petrolatum. Click.

5 So by the end of 2024, there were 475
6 topical allergens on the Canadian market. Now if
7 you add up all of these here, you're going to have
8 558. And so some of the remainder were not
9 distributed either for commercial reasons or
10 supply chain issues. So again, at the end of
11 2024, we had 475 topical allergens on the Canadian
12 market in contradistinction to what's approved in
13 the United States. Click.

14 A drug identification number is required
15 and it's issued by Health Canada when it's felt
16 that the product benefits outweigh the risks. And
17 this is based again experientially on third-party
18 studies, research articles, peer-reviewed papers,
19 journals, real-world data, and the types of
20 presentations that you've seen from some of my
21 colleagues. All of these drugs are categorized
22 under Division 1 under the Food and Drug Act.

1 Click.

2 Now, for a new drug submission a notice
3 of compliance is issued by Health Canada. But
4 this may require actual clinical and nonclinical
5 studies to demonstrate the safety and efficacy of
6 a new molecule or a new potential drug. And these
7 drugs are categorized under Division 8. So the
8 approval that you saw of those four different
9 categories of topical allergens are all under
10 Division 1 based on literature review, et cetera.
11 Click.

12 So for those that are not Included under
13 the 558 topical allergens that have been approved
14 as of 2017, the process is still under discussion.
15 Now, if the product has a unique ingredient or a
16 new indication that's atypical for allergen
17 extracts, then a new drug status regulatory
18 framework would apply and that would be under
19 Division 8. Click.

20 So once again, in 2017, there was a
21 globally distributed portfolio of 558 approved
22 topical allergens registered with Health Canada.

1 All Canadian patch testing physicians have access
2 to all of these topical allergens to test their
3 individual patients. And this includes both
4 personal and occupationally related topical
5 allergens and includes both adults and pediatric.
6 And this clearly allows for a more precise
7 individualized diagnosis, as we've heard earlier
8 today, enhanced quality of life.

9 Now, there are some anticipated minor
10 adverse reactions reported by physicians where
11 these are well managed. And it also allows for
12 research groups to have access to the full range
13 of topical products. So that would be like the
14 International Contact Dermatitis Research Group
15 that I'm a member of and the North American
16 Contact Dermatitis Group, which I'm also a member
17 of, and that supports our ongoing research
18 initiative. Click.

19 So, as I mentioned earlier, these
20 topical allergens are under Division 1. Again,
21 going on historical precedent, they're not
22 considered new drugs as other things would be

1 under Division 8. And these topical allergens are
2 widely used and individuals may already be
3 significantly exposed just through everyday
4 contact, through personal care products,
5 cosmetics, occupationally. And all of the
6 existing 558 went through the DIN-B pathway.
7 Click.

8 So what are the benefits to physicians
9 and to patients? Well, clearly the availability
10 of all of these allergens allows us to identify
11 putative allergens in our patients so that they
12 can avoid contact in the future and also
13 facilitate substitution. And this, obviously,
14 increases quality of life for our patients. And
15 the Health Canada relies on their own evaluation
16 and also agreements with other countries such as
17 those that I mentioned in the EU. Click.

18 So, you know, we know that there are
19 many confirmed sensitizers on the market, you
20 know, over 4,000, and we can't test all of them,
21 but we do have access to over 500. And by having
22 a wider range of allergens, we have less

1 underdiagnosis or misdiagnosis of patients. And
2 we also enhance quality of life and cut down some
3 of the costs that Dr. Chen was talking about in
4 terms of occupational costs and unnecessary
5 indirect costs. It also allows for expansion of
6 research in Canada. Click.

7 So in summary, in Canada, since 2017,
8 we've had eight years of demonstrating stability
9 and consistent access to these products. It's a
10 streamlined approval process, especially for all
11 of the allergens that have been available for
12 years. Mentioned almost 500 are approved in
13 Canada now and on the market. And this allows for
14 a lot of flexibility. Next.

15 So to close, the DIN-B registration is
16 what facilitates ACD diagnostics in Canada. And
17 this is approved for extracts that have been sold
18 in Canada for, in quotations, "sufficient time,"
19 so for many years. And again, it acknowledges the
20 longstanding clinical safety of these topical
21 allergens.

22 And I will mention that Canada is quite

1 vigilant with respect to allergens. So there's
2 something called the Cosmetic Ingredient Hotlist.
3 And on that hotlist such allergens are regulated
4 such as methylisothiazolinone and
5 methylchloroisothiazolinone/methylisothiazolinone
6 MCI/MI. So in Canada these are banned in personal
7 care products that are meant to be left on the
8 skin and they're approved from 15 parts per
9 million and lower in wash-off products. This is
10 quite different than the United States, where
11 there is no regulation in that regard for personal
12 care products. So as a result, certain products
13 in the United States, like sunscreen and
14 moisturizers, et cetera, can still have those well
15 known allergens pointed out earlier as being the
16 second most common allergen in the world at one
17 point. So we have, also, possible increased
18 labeling of many fragrances for personal care
19 products coming in the near future, similar to the
20 labeling that's required in the EU.

21 So my closing point is that we have
22 definite regulation in Canada, but when it comes

1 to topical allergens that have a long history of
2 usage and safety I believe that we have a very
3 flexible, pragmatic approach to approval of ACD
4 diagnostics in Canada.

5 That's that. Thank you.

6 DR. TENNANT: Thank you very much, Dr.
7 DeKoven. Next we will move to Europe. Dr. Vera
8 Mahler from the Paul Ehrlich Institute in Langen,
9 Germany, will present. She's the head of
10 allergology at the Paul Ehrlich Institute. She's
11 a board-certified dermatologist, allergist, and
12 occupational dermatologist. She's been a
13 practicing dermatologist and allergist scientist
14 and lecturer at the Department of Dermatology for
15 24 years and has served as a speaker of the
16 Interdisciplinary Allergy Center at the University
17 Hospital, Langen, Germany. She is currently an
18 associate professor at the Medical Faculty of the
19 Friedrich Alexander University Erlangen-Nuremberg
20 in Germany. It's a mouthful. And she's going to
21 be talking about the "Regulation of ACD
22 Diagnostics in the European Union."

1 Dr. Mahler, are you able to share your
2 screen?

3 DR. MAHLER: I think so. So do you see
4 my screen?

5 DR. TENNANT: It's coming. It is -- are
6 you able to switch it to --

7 SPEAKER: There we go.

8 DR. TENNANT: Perfect. Thank you.

9 DR. MAHLER: Yeah. So thank you very
10 much for the kind introduction and the invitation
11 to report on "Regulation of Allergic Contact
12 Dermatitis Diagnostics in the European Union."
13 The presentation represents my personal views, not
14 necessarily an official opinion of the Paul
15 Ehrlich Institute. I do not have a conflict of
16 interest.

17 So the regulatory status of allergen
18 products in the European Union is based on
19 Directive 2183, which is the Directive for
20 Medicinal Products for Human Use. And in Article
21 1 the definition is given for test and therapy
22 allergens and all our medicinal products. And a

1 medicinal product in the European Union can only
2 be placed on the market if a marketing
3 authorization has been granted by a competent
4 authority.

5 So test allergens comprise in vivo
6 diagnostics for type 1 and for type 4 allergy.
7 And just to give you a number, what we have as
8 authorized allergen products in Germany, we have
9 60 AIT products for immunotherapy, we have almost
10 400 test allergens for the diagnosis of type 1
11 allergies, and 167 epicutaneous patch test
12 preparations for the diagnosis of allergic contact
13 dermatitis. And all the products can be found on
14 the homepage of the Paul Ehrlich Institute
15 following this link.

16 So we heard already there's differences
17 between type 1 allergy and type 4 allergy, and so
18 there is also differences in the regulation of
19 type 1 test allergens and type 4 test allergens.
20 And these differences are based on the different
21 pattern mechanism of the allergic reactions, the
22 different medicinal use, and also the risks linked

1 to these products. The differences to accommodate
2 for these special characteristics of the products,
3 differences exist for the regulatory requirements
4 and especially concerning the extent of data which
5 need to be submitted for a marketing authorization
6 application.

7 And indeed there is three different
8 types of marketing authorizations in Europe.
9 There is the full marketing authorization which
10 requires a full data set, including
11 product-specific data from clinical studies
12 carried out by the applicant. So this is normally
13 what is needed for a AIT product. Then there is
14 the mixed marketing authorization where
15 bibliographic data, together with a very limited
16 set of own data, clinical and nonclinical, carried
17 out by the applicant have to be provided. And
18 then last but not least, there is the well
19 established use, and that is actually the type of
20 marketing authorization application which applies
21 most regularly for patch test products in the
22 European Union and in Germany. Here, results of a

1 preclinical test or clinical trials do not need to
2 be provided by the applicant if the active
3 substance of the medicinal product has been in
4 well-established medicinal use within the European
5 Union for at least 10 years. And here efficacy
6 and acceptable safety must be evident from the
7 scientific literature.

8 So this is the most typical marketing
9 authorization approach for patch test allergens in
10 the European Union. And these types of marketing
11 authorization can be submitted on a national level
12 or on a European level in a decentralized
13 procedure and then the marketing authorization is
14 valid in different European member states at once.

15 So these differences between type 1 and
16 type 4 test allergens have been laid down in
17 detail concerning the regulatory requirements in
18 two different guidelines. The one guideline is
19 the CMDh EMA guideline or recommendations on
20 common regulatory approaches for allergen products
21 which was published in 2022 -- or 2020. And the
22 second one is the EMA guideline on allergen

1 products development for immunotherapy and allergy
2 diagnosis in moderate- to low-size study
3 populations. This is the new kid on the block
4 which was just recently published in June 2025.
5 And both guidelines distinguish meticulously
6 between type 1 and type 4 test allergens and
7 therapy allergens, frequent allergens and rare
8 allergens. And I brought you the table of
9 contents and marked in green which paragraphs are
10 applicable for patch test preparations concerning
11 quality, concerning non-clinical data, concerning
12 the selection for patients, and also the study
13 design for type 4 allergy studies.

14 So normally for marketing authorization
15 and standard regulatory requirements for in vivo
16 diagnostics comprise quite a number of
17 requirements. For example, for quality validation
18 of manufacturing process for each product, GMP
19 requirements need to be fulfilled throughout the
20 manufacturing process. Details on manufacturing
21 of active substances need to be presented in the
22 product dossier and a full set of stability data

1 in accordance with ICH requirements need to be
2 submitted for a marketing authorization
3 application. However, type 4 allergens and type 4
4 patch test allergens have a characteristic and
5 peculiar nature and, therefore, the requirements
6 have been adapted in the European Union for patch
7 test preparations.

8 So there is the option of a matrix
9 approach for process validation in case of
10 identical product process, production process, a
11 waiver of GMP requirements for the active
12 substance production at the supplier level. So
13 here the GMP requirements start at the front door
14 of the patch test manufacturer. Absence of
15 information of active substance manufacturing is
16 acceptable. Normally, it's typical active
17 substances which are intended for an entirely
18 different use. We have heard of the rubber
19 accelerators, this is a good example, so nobody
20 will produce these under GMP conditions and these
21 would also not reflect the real exposure. And
22 commitments for stability studies may be

1 acceptable when long-term stability data for at
2 least one batch are available. And this continues
3 for nonclinical and clinical adaptations.

4 So normally, there is -- animal studies
5 are not necessary for patch test products.
6 Normally, there is existing data and technical
7 data sheets for the chemicals which can be used if
8 compiled concerning clinic. Normally, there is
9 already quite a body of literature which can
10 support the selection of appropriate
11 concentration. And even phase 3 confirmatory
12 studies are not in all cases necessary because
13 there might be registries with well documented
14 cases.

15 Last but not least, the determination of
16 sensitivity and specificity, which is normally
17 requested for diagnostics, is really hard to
18 obtain for patch test substances because there is
19 not an external standard of truth, not a gold
20 standard as a comparator. And therefore, and this
21 is what the new guideline points out, there is the
22 option to use alternative parameters, like the

1 reaction index and the positivity ratio.

2 So for those of you who are not familiar
3 with the reaction index and the positivity ratio,
4 this is longstanding performance indicators for
5 patch test preparations. They can be retrieved
6 and calculated from large patch test cohorts, from
7 patch test networks, and the ideal patch test
8 substance, of course, would only produce allergic
9 reaction reactions, no questionable and no
10 irritant reactions. In this case the calculation
11 of the reaction endings would be equal to 1. If
12 there is as many allergic reactions as there are
13 irritant or questionable reactions, the reaction
14 index will end up being equal to 0. And if there
15 is not a single allergic reaction and only
16 irritant and questionable reaction, this is, of
17 course, what we don't want to have as a patch test
18 substance, the reaction index would be minus 1.
19 And so in reality, all patch test substances
20 somewhere are between reaction index 1, which is
21 the ideal substance, and minus 1 which would be
22 not a good patch test substance.

1 Positivity ratio gives the percentage of
2 1-plus reactions among the total of all positive
3 reactions. And we all know that amongst the
4 1-plus positive reactions not all are always
5 allergic, but there are also some irritant
6 reactions. And so if all patch test reactions
7 would be 1-plus, that would be 100 percent, which
8 would also be alarming. So here I have brought
9 you some real-world data from three patch test
10 substances. And a patch test substance with the
11 positive reaction index and the positivity ratio
12 below 75 percent is a good patch test substance.
13 A negative reaction index and a PR above 80
14 percent is a problematic patch test reaction with
15 the potential of a high number of irritant
16 reactions.

17 However, so this is this is helpful for
18 the regulatory assessment. However, even with a
19 problematic situation, that would not
20 automatically lead to rejection of a marketing
21 authorization application, but it would lead to a
22 warning in the summary of product characteristics

1 to inform the physician that this is a problematic
2 patch test substance and what to expect from it,
3 namely a number of irritant reaction.

4 So the regulatory approach in the
5 European Union is based on single marketing
6 authorizations for each patch test preparation.
7 The umbrella concept is not in concordance with
8 current EU legislation and is not endorsed by the
9 CMDh Allergen Drafting Group. The CMDh Allergen
10 Drafting Group is a group of regulators from
11 different countries with a high expertise in
12 allergen products which reports to the CMDh, which
13 is the Coordination Group for Mutual Recognition
14 Procedures -- Decentralized Procedures-human. So
15 a standalone dossier for each patch test
16 preparation is necessary. Quality, efficacy, and
17 safety need to be demonstrated for every patch
18 test preparation. And I will show in a minute
19 with some examples why this is feasible and
20 necessary.

21 So extended parts of the dossier can be
22 identical for similar products, but certain

1 product-specific requirements need to be fulfilled
2 for each and every product. This is especially
3 the validated determination of active substance
4 content in the finished product and stability data
5 supporting product shelf life of a specific
6 product. So the requirements in the European
7 Union are contrasting to the umbrella approach,
8 which has been recently suggested by one of the
9 patch test manufacturers with reference to Canada.

10 So we heard already all the 500 products
11 are grouped in 4 product groups: Liquid in
12 liquid, liquid in solid, solid in liquid, and
13 liquid in liquid [sic]. And there is only one
14 marketing authorization for each group. And all
15 the 500 products are summarized in these 4
16 marketing authorizations with one lead product per
17 group with the full dossier where all the
18 information for one substance are given and all
19 the other products which belong to the group have
20 just a minimal requirement dossier with reference
21 to the respective lead dossier and limited
22 product-specific information.

1 And what is especially critical in the
2 eyes of the European regulators is that the
3 determination of the active substance content is
4 the done via mass balance calculation. That means
5 it is expected and calculated that in the end
6 product there is in what has been put in the
7 vehicle at the start, but this does not
8 necessarily need to be the case. And also there
9 is a risk based approach for stability analysis,
10 so not every product has stability data. And so
11 this approach has been regarded non-acceptable for
12 the European Union. And I will come back with
13 some examples why.

14 In the end after marketing authorization
15 process there is a benefit-risk balance
16 assessment. And we heard already the safety risk
17 of patch testing are generally low. So it's just
18 a little bit of substance and just exposed
19 epidermally, so there is not a significant health
20 risk. So this is clear. However, here the risk
21 is significant based on the risk of false
22 diagnosis in case of deficient quality. So

1 efficacy is only insured with controlled quality
2 and there is quite a number of flaws which may
3 occur if not looked for it: Wrong active
4 substance, suboptimal active substance
5 concentration, inhomogeneity, active substance
6 degradation or evaporation which leads, again, too
7 low concentration, and there might be also
8 contamination with impurities of other haptens.
9 And a wrong diagnosis, false positive, false
10 negative might have a tremendous effect on the
11 consequences which are based on this diagnosis.

12 So a meaningful diagnosis requires
13 active substance specific product development in
14 data sets and I would like to show few examples.
15 So from our knowledge and experience it is not
16 possible to extrapolate from one active substance
17 to the other, even if they belong to a similar
18 group chemically, for example methyl methacrylate
19 and 2-hydroxyethyl methacrylate, HEMA. The
20 quality issues of the patch test preparations have
21 been published already. And in Germany,
22 therefore, patch test preparations based on data

1 specific to the products differ in shelf life and
2 manufacturing process. So the HEMA has a shelf
3 life of 24 months, whereas the methyl
4 methacrylate only 12 months. Because a
5 considerable loss of active substance content
6 occurs after 24 months, which the manufacturer
7 himself finds when delivering the requested data.

8 The manufacturing process is a standard
9 manufacturing process for the HEMA. However, for
10 the methyl methacrylate there is product-specific
11 manufacturing process necessary because even
12 during the manufacturing process there is a loss
13 of active substance. So there need to be at the
14 start a production overage to have the intended
15 concentration at the end. And also a stabilizer
16 has to be added here to guarantee an unobjectable
17 quality of the patch substance.

18 Another example is propolis. So during
19 the marketing authorization procedure, the
20 marketing authorization applicant proposed two new
21 suppliers and two different batches, country of
22 origin Brazil versus China, and delivered also the

1 requested analytical data. And here it was
2 obvious, also, especially from the HPLC
3 chromatogram, that the propolis from Brazil is
4 highly different from the initial one and is not
5 comparable at all. That would be a different
6 product. Whereas the product from -- or the batch
7 from China was still similar enough to be
8 acceptable under the same marketing authorization.
9 So the use of propolis harvested in China from the
10 new supplier was approved, whereas the propolis
11 from Brazil was rejected.

12 In other regions of Europe this propolis
13 product with the Brazilian propolis came on in the
14 market and was tested and showed a high number of
15 positive reactions of unclear clinical relevance.
16 And so this is data from Genova. So the positive
17 frequency of patch testing with the Brazil
18 propolis is significantly higher than the one from
19 China. So it is important to look for the quality
20 of the active substance.

21 A common concern is how to authorize
22 patch tests substances in Europe containing new

1 emerging allergens when data for a
2 well-established use is not available. So here
3 the new guidelines give guidance. The way to
4 marketing authorization is highly dependent on the
5 active substance. So -- and most of the time,
6 even if a substance has not been for 10 years in
7 medicinal use, there is some data in the
8 literature, most of the time technical data.
9 There might be a concentration range already from
10 daily products or even from hospital
11 pharmacy-prepared patch test substances where the
12 dossier can be built on. And here the mixed
13 marketing authorization is the way to go. For
14 example for the IBOA (isobornyl acrylate), which
15 is an emerging allergen which is problematic for
16 patients with diabetes using a continuous glucose
17 monitor device, so this is the clue in the device
18 which frequently produces allergic contact
19 dermatitis.

20 And so the mixed marketing authorization
21 is building on the bibliographic data and requests
22 a small number of own clinical data so the

1 documented medical need is clear. Non-clinical
2 data, toxicity, and pharmacology can be retrieved
3 from bibliographic sources and also some clinical
4 data already from published cases. And so a small
5 data set is necessary from the applicant. So
6 about 15 patients with the contact allergy and
7 approximately 100 controls will be requested here
8 in this mixed marketing authorization to move
9 forward for a marketing authorization.

10 So I summarize, epicutaneous patch test
11 products and medicinal products, according to
12 Directive 2183, regulatory requirements for
13 allergen products have been adapted to match the
14 distinct characteristics of the patch test
15 substances. This is all laid down in the two
16 guidelines and especially here in the new
17 guideline published in June 2025. Authorization
18 of patch tests containing emerging haptens is
19 possible via mixed marketing authorization. And
20 the risk of misdiagnosis can be reduced by
21 complying with regulatory product-specific quality
22 requirements. Product-specific quality assurance

1 is really key to a reliable diagnosis.

2 I thank you for your attention.

3 DR. TENNANT: Great. Thank you, Dr.
4 Mahler. Just a reminder to everybody, please post
5 any questions in the chat and hopefully we'll have
6 some time to address those before lunch. So the
7 last speaker for today's session is Dr. Yun Lu,
8 here -- from here at the FDA who'll be presenting
9 on the "Real-World Evidence Program," and giving a
10 perspective from CBER. Dr. Lu is the acting
11 division director for the Division of Analytics
12 and Benefit-Risk Assessment at the Office of
13 Biostatistics and Pharmacovigilance within CBER.
14 Dr. Lu received her Ph.D. in biostatistics from
15 Johns Hopkins Bloomberg School of Public Health
16 and joined the office in 2010. She has extensive
17 experience with real-world evidence reviews and
18 post-marketing safety and effectiveness public
19 health surveillance studies using real-world data.

20 And looks like Dr. Lu is sharing her
21 screen. We see the -- just the -- yeah, there we
22 go. Excellent.

1 DR. LU: Yeah. Thank you. Good
2 afternoon. Can someone confirm that you can hear
3 me clearly?

4 SPEAKER: Yes.

5 SPEAKER: Yes, I can.

6 DR. LU: Okay, thank you. Well, good
7 afternoon, everyone. Thank you for having me.
8 Today I'm going to talk about FDA's "Real-World
9 Evidence Program," CBER perspective. So this is
10 my disclaimer. This presentation reflects my view
11 and shouldn't be construed to reflect FDA's views
12 or policies. I have no conflict of interest and
13 also I mention a commercial product should not be
14 construed as actual or implied endorsement.

15 Real-world evidence and real-world data
16 have been increasingly used to inform regular
17 decision-making. So I want to give you an
18 overview of CBER's RWE program. We have RWE and
19 RWE-related record submissions. For those reviews
20 I will talk about in details later. And after
21 biological products approved, CBER also conduct
22 post-marketing public health surveillance to

1 monitor an effectiveness of approved product.
2 When CBER detects safety signals, FDA will issue
3 safety communication to communicate with the
4 public and sometimes the safety signal could
5 result in safety changes.

6 For both safety and effectiveness
7 studies, the results can inform congressional
8 public hearings and also inform advisory
9 committees. For FDA, we have the Blood Committee,
10 Vaccine Committee, as well as the Pediatric
11 Committee. In addition, the work we're doing can
12 also inform CDC's Advisory Committee on
13 Immunization Practices.

14 The Public Health Surveillance Project
15 could also inform quantitative benefit-risk
16 assessment of products and the lessons learned
17 from public health surveillance can also inform
18 RWE review and motivate discussions with other
19 regulatory agencies. In addition, for our
20 Real-World Evidence Program we have access to
21 millions of individuals in the real-world data and
22 bias actually is more of a concern compared with

1 the random error. So for CBER we're also
2 conducting method(?) development project to adjust
3 for American funding, which is part of the PDUFA
4 VII hard commitment.

5 FDA's RWE program actually span all
6 medical product centers. So we have drugs and
7 biologics, CDER and CBER. We also have devices
8 CDRH as well as oncology OCE. So this is a
9 FDA-wide effort. You can see that there are lots
10 of different types of activities for under the RWE
11 program.

12 Many people have used the term
13 "real-world data," RWD, and "real-world evidence,"
14 RWE, interchangeably, but FDA actually has two
15 separate definitions for those two words. Here
16 are the definitions based on the 2018 FDA RWE
17 framework. So the real-world data, there are
18 data. They are routinely collected from a variety
19 of sources and not from a research setting. So
20 you can see there are many types of RWD. And for
21 RWE, this is a clinical evidence derived from
22 analysis of RWD.

1 So I want to point out that real-world
2 evidence, RWE, is not mutually exclusive to
3 randomized trials. Actually, RWE could be
4 generated by randomized trials, external control
5 trials, or observational studies. RWD sometimes
6 may not be able to generate RWE. So in the next
7 slide I will present a table that was put together
8 by FDA colleagues from multiple centers. They
9 illustrate when can RWD generate RWE?

10 Here you can see there's a term of
11 interventional study. So that's when a study
12 assigned treatment to a participant. It includes
13 randomized control trial as well as external
14 controlled trials. And the term
15 "non-interventional studies" have been used
16 interchangeably with "observational studies." So
17 when we have non-interventional studies,
18 real-world evidence will be generated and there
19 are different study designs that are typically
20 used. And for interventional studies we will look
21 at randomized controlled trials. If the
22 real-world data is used as a trial endpoint, then

1 RWE is generated. But if RWD is only used to
2 develop study, for example, identify potential
3 participant, select trial site, then no RWE is
4 generated.

5 And for external controlled trials, if
6 the external control arm is from RWE source and
7 then RWE is generated. However, if the external
8 control arm using some level data or from another
9 clinical trial, then no RWE are generated. So you
10 can see that many times you will see the term RWD
11 and RWE being shown together, but RWD not always
12 generate RWE.

13 When we look at RWE submissions there
14 are three key regular considerations. Our first
15 step is look at the data, whether the RWD are fit
16 for use. I will talk more in later slides about
17 fit for use. And then we'll look at the trial or
18 study design to see whether they can provide
19 adequate scientific evidence to answer the regular
20 question. We also look at study conduct to see
21 whether they can meet FDA's regulatory
22 requirements.

1 FDA has published many RWE-related
2 guidance documents recently. So some of them
3 about data considerations. You can see there are
4 guidance document about registry data, about EHR
5 and claims data. There are also guidance about
6 different study designs, externally controlled
7 trials, non-interventional studies, and RCTs.
8 There are also guidance about submitting RWE,
9 about regular considerations, as well as data
10 standards. In the slides I put in the links for
11 each of the guidance documents.

12 As I mentioned in the earlier slide,
13 CBER has been conducting public health
14 surveillance to generate RWE. So I want to show
15 you the approach we're using to generate RWE. So
16 we start with asking the right question and then
17 we used fit for use data, which means that we need
18 to have a deep understanding of the data,
19 understand what are the sources of biases in the
20 data, and then we try to minimize the bias by
21 design. We also conduct appropriate statistical
22 analysis to control for bias in the analysis

1 stage. At the same time, we also plan scientific
2 analysis and also conduct bias analysis to
3 identify and quantify remaining bias.

4 I want to emphasize on the iterative
5 nature of the approach because lessons learned
6 from our sensitivity analysis and the qualitative
7 biasness can inform our future studies, can help
8 us better understand the data, help us design
9 studies, and plan statistical analysis for future
10 studies. When we review RWE submissions, we also
11 look at those important factors.

12 So I will start with the data, fit for
13 use evaluation. When we talk about fit for use
14 data it means that data are reliable and relevant.
15 Here the reliability includes accuracy,
16 completeness, and traceability of the data and the
17 term "relevance" includes the availability of data
18 for key study variables, which include exposures,
19 outcomes, covert, and also sufficient number of
20 representative patients for the study.

21 In the early slides I showed different
22 types of RWD and FDA does not endorse one data

1 source or another or seek to limit the possible
2 source of data that may be relevant to answering
3 study questions. So basically, many approaches
4 can be acceptable and need to be evaluated on a
5 case-by-case basis. So we encourage sponsors to
6 communicate with the FDA early and often about RWE
7 submissions.

8 So here are some examples about our fit
9 for use evaluation. So I will use COVID-19
10 vaccine as example since most people in this
11 audience are familiar with the COVID-19 vaccines.
12 One potential source of bias when we use
13 real-world data to evaluate COVID-19 vaccine is
14 there is potential underreporting of vaccination
15 status. Probably you still remember when the
16 vaccine was first rolled out there were long lines
17 in front of the mass vaccination site and no
18 insurance information were collected. So
19 basically, many people without vaccination code in
20 the system may have received vaccines outside of
21 the system. This underreporting of vaccination
22 status could bias the result.

1 This example is the Moderna COVID
2 vaccine post-marketing commitment effectiveness
3 study. So we asked the sponsor to clarify how
4 they handle this potential exposure misallocation,
5 they mentioned that the data partner are receiving
6 regular batch imports of external administration
7 of COVID-19 vaccine. So the vaccination
8 information in their system is thorough and as
9 complete as possible in the pandemic setting, so
10 this is an acceptable approach.

11 So here I want to show you another
12 example looking at the same source of bias. Again
13 this is exposure misallocation. And this is the
14 Pfizer PMR safety study. And the sponsor, they
15 propose several alternative solutions. The first
16 solution they proposed is using a different type
17 of study design. It's called self-controlled risk
18 interval study where they only employ individuals
19 who have documented vaccination, which means that
20 the underreporting of vaccine status will know the
21 biased result. It will only have an impact on the
22 sample size. So the study will have smaller

1 sample size.

2 And another approach they propose is
3 link to immunization registry. That is also
4 acceptable approach because that would improve the
5 accuracy and completeness of the data. The
6 sponsor also proposed another study, a cohort
7 design with historical unexposed comparatives.
8 Basically using individuals before the vaccine is
9 available. So we know that all the individuals in
10 the historical comparator arm, they are truly
11 unvaccinated. This would solve the issue about
12 potential exposure misallocation. However,
13 because that is historical control, then the
14 period of those studies is different from the
15 treatment arm that could potentially impact by
16 time variant confounders. So here I want to
17 mention some time variant confounder.

18 Again I'm using COVID-19 as an example.
19 The COVID-19 pandemic, it could impact the
20 healthcare access and health-seeking behavior over
21 time. So, again, this is the Moderna COVID-19 PMR
22 safety study. In order to understand the

1 potential bias from time variant confounder, the
2 sponsor, they proposed using medical conditions
3 that are not related to COVID-19 vaccine to test
4 the temporal trend. So those medical conditions
5 can also be called negative controls. Because
6 healthy behavior could have different impact on
7 mild, moderate, and severe conditions, you can see
8 that the sponsor proposed several medical
9 conditions with different severity over a
10 different time period: Before COVID-19 pandemic,
11 during the pandemic, but before vaccines
12 available, and also after vaccine available. So
13 these will help the sponsor detect the temporal
14 trend and the potential time variant confounder.
15 So this is also an acceptable solution.

16 When we look at fit for use data,
17 outcome misclassification can be an important
18 concern. Again, I'm using COVID as an example
19 because sometimes COVID -- there could be COVID-19
20 outcome outside of the system. So for Moderna
21 COVID-19 PMC factory studies, the sponsor
22 mentioned that the data partner will ask patients

1 about the positive tests conducted outside of the
2 system and the document in the EHR with internal
3 diagnosis code. And also they perform chart
4 review. Chart review has been considered as a
5 gold standard that can help to validate the
6 accuracy of the test. So this is also an
7 acceptable solution.

8 So you can see that for our source of
9 biases and fit for use evaluation, actually many
10 approaches can be acceptable. Again, it needs to
11 be evaluated case by case. And so we encourage
12 sponsors to communicate with FDA early and often.

13 Here I want to show you another case
14 study. This is a very rare disease, very
15 different from COVID vaccines when you see
16 millions of millions of vaccinees. So for this
17 rare disease, there is an unmet need and the
18 sponsor, they propose axonal controlled trials.
19 So for the treatment arm they combined several
20 clinical trials as well as expanded excess program
21 in EU. And they have a natural history study used
22 as a comparator arm, the untreated arm. In order

1 to make the treatment arm comparable with isolated
2 control arm, the trials and also natural history
3 studies are conducted by the same clinical team at
4 the same clinical center. Also, in addition,
5 though, to the natural history study, they also
6 have untreated siblings compared with the treated
7 trial patients.

8 This can provide additional evidence.
9 So you can see that in these particular
10 submissions, real-world evidence used as
11 substantial evidence to support pre-licensure
12 regular decision-making.

13 So as I mentioned earlier in the
14 presentation, when we look at RWE record
15 submissions, there are multiple key
16 considerations. We first look at the fitness for
17 use of the data. The data need to be reliable and
18 relevant. And then we look at the trial or study
19 design. They need to provide adequate evidence
20 and also minimize bias in the descent stage. We
21 also look at statute analysis to see where they
22 can adequately control for bias in the analysis

1 stage. At the same time, the study comes back
2 into meet regulatory requirement. And again, this
3 is a case-by-case evaluation. There's no one size
4 fits all solution. We encourage the sponsor to
5 communicate with FDA early and often.

6 Well, thank you for listening. And I
7 would like to thank my CBER/CEDR colleagues as
8 well as our federal partners and collaborators.
9 Thank you.

10 DR. TENNANT: Thank you very much, Dr.
11 Lu. So we have time to take some questions. And
12 thank you very much to the speakers for responding
13 to questions in the chat. But I wonder, Dr.
14 Mahler, if you could address as a question about
15 how the PI treats complex allergens versus simple
16 defined chemicals. If you could address that
17 question, please.

18 DR. MAHLER: Sure. Thank you. So the
19 process per se is similar to chemicals. However,
20 the batch-to-batch consistency, it is less strict
21 required. So this is a natural product and there
22 is more flexibility and a higher tolerance for a

1 batch-to-batch consistency.

2 DR. TENNANT: Great. Thank you.

3 DR. MAHLER: But the process is
4 basically the same. Yeah.

5 DR. TENNANT: Great. Thank you.
6 There's another question for you. I don't know if
7 you can answer this. What is the estimated cost
8 for obtaining approval for one allergen?

9 DR. MAHLER: So there is quite a
10 difference amongst the European member states. In
11 Germany, approval of one patch test allergen is
12 between 1,250 euros and 2,500 euros. So this is a
13 special rate for patch test allergens or for test
14 allergens in general because the regulators have
15 quite recognized that with the test allergens
16 there is not a big revenue to make for the
17 manufacturers. So since 2018, PEI grants price
18 reduction of 75 percent down to 25 percent, which
19 is the 1250 to 2500 euros.

20 DR. DeKOVEN: Is that just for payment
21 to the regulatory authority and does not include
22 what the cost is to the manufacturer to make --

1 DR. MAHLER: Sure.

2 DR. DeKOVEN: -- sure we meet approval?

3 DR. MAHLER: Sure. So this is the fees

4 --

5 DR. DeKOVEN: It's a big difference.

6 DR. MAHLER: This is -- yeah, so this is
7 the regulatory fees for a marketing authorization
8 In Germany. Of course, the development on the
9 side of the manufacturer is quite higher. That is
10 clear. But this was not the question, actually.
11 I think this, we all agree.

12 DR. DeKOVEN: That was my question, the
13 estimated cost. So because I think that's -- the
14 material cost is what's the cost to the
15 manufacturer? Regardless of what the cost is from
16 the regulatory authority, if the cost is
17 prohibitive, there will be no new topical
18 allergens available.

19 DR. MAHLER: So I agree. I agree. So,
20 also, a topic to raise is reimbursement. So we
21 have heard earlier about the situation in the U.S.
22 That there is fewer and fewer patch test clinics.

1 We have the same situation in Germany that patch
2 testing is per se, not very attractive compared to
3 cosmetology. And this is a matter of
4 reimbursement. So, of course, this is medical --
5 medicinal products and they have their cost. And
6 also the treating physicians need to be reimbursed
7 adequately. So this whole system is viable.
8 Yeah, sure, I agree entirely. I'm with you.

9 DR. TENNANT: Thank you. I think most
10 of the questions seem to have been addressed by
11 the speakers.

12 DR. RABIN: Yeah, there was one for me
13 about how we calculated specificity and
14 sensitivity. And to tell you the truth, I am not
15 -- it was a while ago and I didn't think of the
16 question beforehand, but I believe, and I'll
17 simply, you know, have to follow up on this, but I
18 believe what we did was we basically used the Finn
19 Chambers as a gold standard. And so, you know,
20 agreement and negative and positive with
21 sensitivity and, you know, disagreement with
22 specificity. I think that's how we did it. And,

1 you know, I'd be interested -- I would be very
2 interested in hearing whether or not, you know,
3 the experts on our panel really agree with that
4 approach. You know, whether or not, you know,
5 we're willing to hear criticism that we may not
6 have taken the best tactic on that.

7 DR. DeKOVEN: You're right about for a
8 TRUE Test, specificity and sensitivity, the
9 comparator was the Finn Chamber.

10 DR. RABIN: Right, right.

11 DR. DeKOVEN: But I think the problem
12 with new allergens is, you know, coming out in pet
13 (petrolatum) (phonetic) or liquid, they're new.
14 You know, there isn't a comparator.

15 DR. RABIN: Right.

16 DR. DeKOVEN: There's historical data
17 typically --

18 DR. RABIN: Right.

19 DR. DeKOVEN: -- that's been done to
20 determine what the best patch test concentration
21 is --

22 DR. RABIN: Right.

1 DR. DeKOVEN: -- to minimize irritation
2 and increase positive reactions. That's sort of
3 the only thing we can do at this point.

4 DR. RABIN: Yeah. Which, you know,
5 obviously, I guess points to the whole idea of
6 the, you know, of the RI and the PR --

7 DR. DeKOVEN: Right.

8 DR. RABIN: -- you know, as surrogate
9 numbers. And, you know, I think we understand
10 that that may be the direction that we need to go
11 to. I have to say, it's not very appealing to me,
12 but, you know, but the perfect is sort of the
13 enemy of the good. And I think that, you know, as
14 we talk about in the next session, you know, the
15 sorts of patches and the things that are
16 available, you know, I'm very interested in
17 hearing, you know, what the bars are, you know, in
18 terms of, you know, what kind of numbers are
19 really acceptable or not in that context. So I
20 look forward to that. But I think that would be a
21 better conversation after the next session when we
22 hear about the products more comprehensively.

1 Sharon?

2 DR. DeKOVEN: I mean, I think it's
3 critical, though, as you get, you know, as Jeff
4 pointed out, this new allergen that was identified
5 in the Libre glucose monitoring, isobornyl
6 acrylate --

7 DR. RABIN: Right.

8 DR. DeKOVEN: -- had never been tested
9 before.

10 DR. RABIN: Right, right.

11 DR. DeKOVEN: It was just assumed that
12 it would cross-react with other acrylates, which
13 it does not.

14 DR. RABIN: Yeah.

15 DR. DeKOVEN: It's a unique one.

16 DR. RABIN: Yeah. Yeah. No, that's --
17 it's -- yeah. And that's only going to happen
18 more and more as, you know, obviously wearable
19 technologies in particular are expanding.

20 DR. TENNANT: Well, I think if there are
21 no more questions, we can end this session. Thank
22 you very much to all of the speakers. And now

1 we'll be going to lunch and returning at 1:15.

2 Thank you, everybody.

3 (Whereupon, at 12:42 p.m., a

4 luncheon recess was taken.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 of how you think and how we from the FDI -- FDA
2 can, you know, take a look at, you know, take a
3 look at how we're going to deal with these
4 products to get them -- to increase their
5 availability to the patients who need them. And
6 if some of this morning's speakers can contribute
7 to that discussion, you should feel free to do so
8 as well.

9 So, Dr. Warshaw, who will monitor -- who
10 will moderate this session, completed her medical
11 school training, internship, and derm residency at
12 Emory School of Medicine in Atlanta. She served
13 as the Minneapolis VA dermatology chief from 1997
14 to 2013 and completed a three-year VA Career
15 Development Award with a master's degree in
16 clinical research from the University of Minnesota
17 in 2004. She's currently a professor in the
18 University of Minnesota Department of Dermatology.
19 She's a media director of the Park Nicollet
20 Contact Dermatitis Clinic, a state-of-the-art
21 tertiary referral center for occupational and
22 contact dermatitis. She's held a number of VA and

1 investigator-initiated grants. She's mentored
2 over 100 medical students. She has co-authored
3 over 340 peer review publications.

4 So, Dr. Warshaw, take it away.

5 DR. WARSHAW: Great. Thank you so much,
6 Dr. Rabin, for that very kind introduction. And
7 it's really an honor and a pleasure to moderate
8 this distinguished panel of experts who are going
9 to comprise our discussion for this afternoon. We
10 will have a significant amount of time after the
11 presentations, as Dr. Rabin mentioned, for
12 discussion. So, please, as the talks are going on
13 or if you have a question from this morning's
14 talks, please put it in the chat. And the
15 presenters don't need to feel that they need to
16 respond to those questions because we will have
17 significant time afterwards for discussion.

18 So our first speaker this afternoon is
19 Dr. Curt Hamann, who is president, CEO, and
20 medical director of SmartPractice. He received
21 his medical degree from Loma Linda University and
22 has over 30 years of clinical experience in

1 contact dermatitis. In addition, he has led
2 clinical and pharmaceutical development,
3 registration, and manufacturing transfers of patch
4 test allergens within the industry. He's a member
5 of several European, North American, and Japanese
6 academic and professional organizations in the
7 field, and he speaks at many international
8 conferences and seminars sharing his expertise.

9 So thank you, Curt, for sharing your
10 expertise with us today.

11 DR. HAMANN: Thank you, Erin. Very kind
12 introduction. Hey, can't see me. I can see
13 myself here. Is my image up, folks?

14 SPEAKER: Not yet.

15 DR. HAMANN: Shall I turn it off and
16 back on?

17 SPEAKER: Yes.

18 DR. HAMANN: Okay. And I also need my
19 slides to be deployed. That'd be great.

20 Thank you, Dr. Rabin and the CBER team,
21 for pulling together this public workshop on the
22 Approval of New Patch Tests for Diagnosis of

1 Allergic Contact Dermatitis. I think it's super
2 appreciated, very important for us to get a
3 solution to this, and I hope that we can make some
4 progress in our discussions with this.

5 And thank you for sticking around even
6 though the government shut down. I recognize that
7 that's above and beyond. So thank you very much.
8 Next slide.

9 I have been tasked with continuing the
10 discussion that we've had about creating sensitive
11 and specific patch test allergens. A tall order,
12 I think, in terms of the previous dialogue. I
13 think just as a quick kind of introduction, I
14 would say that an effective type 4 patch test
15 allergen needs to be representative of the
16 allergen to which the patient is exposed. It also
17 needs to have effectiveness supported by clinical
18 data. And then perhaps the most important, it has
19 to have a defined identity, strength, purity,
20 stability, and batch-to-batch consistency. Next.

21 I think to highlight, before we get into
22 some of the details, the importance of preventing

1 as best as possible a misdiagnosis is a clear
2 priority that I think we need to embrace. If we
3 have a patch test allergen concentration that is
4 too low, it will result in a false negative. If
5 we have a patch test allergen that contains the
6 wrong allergen, it may result in a false negative
7 reaction or a positive reaction to an incorrect
8 allergen.

9 I think a couple of examples there
10 perhaps would be helpful. If you have a
11 preparation for one of the titanium salts and it's
12 contaminated with nickel and you tell this person
13 they're allergic to titanium and they're not, that
14 would be an egregious misdiagnosis. And it's
15 possible. I think also when we have new
16 substances that are being introduced, like the
17 hydro peroxides, where the number of unknown
18 compounds that are in these preparations that are
19 now producing in excess of 45 percent positive
20 test prevalences in patch tested populations, we
21 don't know what we're testing to and I think that
22 that is unacceptable.

1 If the patch test allergens contain
2 unstable allergens or mixes, that could generate
3 unexpected degradation products. It may also
4 result in a false negative reaction or a positive
5 reaction, again, to an incorrect allergen. And
6 we're dealing with this right now as it relates to
7 our preference at times as clinicians to have
8 mixes, to be able to test multiple things
9 simultaneously. And what we are learning is with
10 the mercaptobenzothiazoles, you put four of them
11 together and within a few weeks there's only three
12 of them there. And one of the four is now in a
13 higher concentration. So we could potentially
14 have a false negative in somebody because of the
15 change in that product on the shelf.

16 And I think it's particularly
17 challenging when you cannot go to the literature
18 or have a discussion with key opinion leaders and
19 come up with a credible evidence-based estimate of
20 how often this happens. Most of these patients
21 are tested once and if the misdiagnosis occurs, we
22 have no idea. And I think that is one of the

1 challenges that really reinforces the importance
2 of knowing what's in the preparation and that it
3 is stable for the full life of the shelf life on
4 the label.

5 If we've got misdiagnoses, we've got the
6 risk of over treatment, likely with the biologics,
7 as we have heard, that are super expensive. And I
8 think an increasing problem within this space. A
9 delay in diagnosis and also an inappropriate
10 management typically would be undertreatment. So
11 we need to be sure that we're vigilant about
12 prioritizing minimization as best as possible
13 misdiagnoses. Next.

14 So we look at three different categories
15 and I think we've kind of heard about them from
16 the previous speakers in terms of how we're going
17 to approach these. I think we understand that
18 there's this core set of allergens that have been
19 used for many, many years that we know a lot
20 about. They're highly published and they are
21 largely the same between countries and they kind
22 of represent what we would call our standard

1 series. We've heard about it here in the United
2 States as the American Contact Dermatitis Society
3 Core 90 or the North American Contact Dermatitis
4 Group Core 80. There are different other series
5 at Mayo and we do at CDI here. Typically the
6 cutoff there is a prevalence in the tested
7 population of about 1 percent or greater.

8 And then we have this rare or uncommon
9 category and that would be -- encompass a lot of
10 these occupational allergens. And that is in a
11 referred population where you would have
12 prevalence of less than a half of a percent. And
13 we think those need to get treated differently in
14 terms of this development approach and how we
15 prioritize them.

16 And then the third category we've also
17 been talking about, which is these emerging
18 allergens that, all of a sudden, out of the blue
19 we have an insulin pump that's got a new adhesive
20 in it. And we've got, you know, inflamed skin in
21 patients that have got these pumps on their skin.
22 And we're trying to figure it out and we need a

1 solution that doesn't take years. So we need a
2 way to come up with a test that can be used by
3 clinicians that maybe doesn't fulfill all of the
4 ultimate regulatory requirements, but can at least
5 get us down the road of solving the short-term
6 problems. And if it does continue to be an
7 important allergen, then move into the core
8 allergen space and be subjected to the additional
9 disciplines that it should have for a license.
10 Next slide.

11 So this complicated topic of sensitivity
12 and specificity. If we were to bring the
13 statisticians on board, they would reinforce what
14 we've already heard and that is that you must have
15 a gold standard against which you can compare your
16 new preparation in order to be able to calculate a
17 true positive, false positive, true negative,
18 false negative. If you do not have that
19 comparator, you cannot do this mathematical
20 calculation.

21 And I know we heard about it a little
22 bit, that it is probably not a reasonable approach

1 to just try to compare preparations that are in a
2 different excipient and think you can compare --
3 do the calculations of sensitivity and specificity
4 with the same allergen in just different
5 excipients. We think it needs to be a completely
6 new model in order for this to be an approach that
7 will solve our need to regulate these products.

8 Some of the things that are done
9 clinically that I think have been helpful are just
10 the reality of what happens if you use one of
11 these preparations and you get a positive reaction
12 and you inform the patient and they are very
13 compliant in removing it from their exposure and
14 they go into remission. That is a nice
15 reinforcement that that patch test was effective
16 for its stated purpose. And I think that can be a
17 part of how we evaluate these.

18 And then on the reverse. We also have
19 clinical opportunities for the repeat open
20 application test where you confirm relevance of a
21 positive by applying a small concentration to
22 typically the antecubital fossa every day for a

1 few days. And if you can replicate the allergic
2 contact dermatitis that was being experienced
3 prior to avoidance, that would be a great
4 confirmation that this is an effective test.

5 And then we did hear from Dr. Mahler
6 that the collaboration between IVDK and the DKG
7 and the Paul Ehrlich Institute in Germany came up
8 with another approach to this that could help
9 inform the clinicians and be valuable in the
10 regulatory process using the positivity ratio and
11 the reactive index. And I think that helps us
12 particularly with the two extremes of allergens
13 that are very irritating and those that have very,
14 very weak positives. And while it's hard to get
15 an absolute number of how that would define what
16 is and isn't approved, it's very, very helpful in
17 understanding whether or not the preparation of
18 this patch test allergen is getting close to
19 something that would be effective for a clinician.
20 Next.

21 Patch tests are unique. I think we've
22 heard that. I think it's important to

1 differentiate them in our minds from a therapeutic
2 product. These are diagnostic tests. They're not
3 for therapy. They are applied in very low dose
4 for 48 hours on intact skin and typically they're
5 only used once. So the safety dynamics and all
6 that we are worried about with a therapeutic
7 product we think needs to be modified in a
8 risk-benefit approach to how these tests are used
9 compared to a therapeutic product.

10 So this is particularly important
11 because the majority of patch test allergens are
12 not available as an active pharmaceutical
13 ingredient in a typical way like it would be for a
14 therapeutic drug. So it's a very, very different
15 chemistry. It is molecules that are available in
16 the everyday environment of our patients, whether
17 it's a preservative in a personal care product or
18 accelerator in the rubbers or resins, metals, et
19 cetera. Very, very common, but they are not a
20 traditional API and we think that's important to
21 differentiate. Next.

22 Some other considerations I think to

1 this core group that we're talking about that we
2 need these licensed, regulated, and available for
3 use in all of the respective markets that they are
4 desired. The approach we think is to begin
5 initially with a review of the patch test
6 literature and see which allergens that are in
7 that core space have data that is clinically
8 useful for the PR and RI data perhaps and
9 significant numbers of case reports and series
10 that are useful in this well-established use
11 defense that they have been -- used effectively
12 for 10 years or more. And that would be where you
13 kind of begin. And that would inform often what
14 is the excipient and what is the preferred
15 allergen that is the most consistent
16 representation of what would be exposed to the
17 patient or what excipient is best.

18 If that isn't in the literature, I think
19 that we have supported, and you heard a little bit
20 about this from Dr. Mahler with the isobornyl
21 acrylate, that you do a combined phase 2/3 small
22 clinical study that compares these excipients and

1 concentrations. We think it is also important to
2 have the two population groups, one with and
3 without suspected ACD. And that will help inform
4 on a quicker basis what is the best formulation.

5 We think it's important to favor the
6 lowest irritating option. This is particularly
7 important with some of the metal salts. Many of
8 the metal salts have also -- also contain free
9 acid in small concentrations. And if you get a pH
10 below 4, it will be an irritant risk. And
11 ideally, we try to keep it in that 4 to 7 range.

12 We also would like to favor options with
13 chemical characteristics that are most likely to
14 penetrate through the skin. This is one that
15 we've worked on a lot as it relates to some of the
16 metals. The great example would be testing with
17 titanium dioxide. We do not think this is an
18 appropriate test substance for identification of a
19 true allergy to titanium. The dioxide has been
20 chosen and is in ubiquitous use and toothpaste and
21 all sorts of topical medicaments because it
22 doesn't go through the skin. So the idea that

1 that would be chosen as the preferred diagnostic
2 allergen for an allergy to titanium we don't think
3 would be a good approach. So make sure that these
4 things can get through the skin.

5 Favor the most stable option. Many of
6 these substances, they either evaporate or they
7 polymerize or they degrade or there are changes in
8 them. And it would be important to identify the
9 most stable option as the preferred choice. And
10 then, as we've already said, favor substances that
11 represent the patient's exposure. Next.

12 So we think that to have this sensitive
13 and specific test that's effective, there has to
14 be a significant investment in making sure that
15 there are validated analytical methods for the raw
16 materials. This is especially important as the
17 majority of these patch test allergens aren't
18 manufactured in a GMP facility. So that when you
19 receive it, you haven't got the benefit of a GMP
20 audit that tells you that it's done correctly.
21 You need to have a receiving procedure that has a
22 method to prove that what's there is there and

1 it's not contaminated by other things, that it's
2 in a pure form that is something that will be not
3 causing these misdiagnoses.

4 These methods need to be validated also
5 for the finished product, to ensure that the
6 identity allergen strength and impurity are still
7 in place when the product is through the
8 manufacturing process and ready to begin the
9 stability studies. These manufacturing methods
10 need to be qualified. It's amazing what can
11 happen when you manufacture these, whether it
12 evaporates or whether you end up having
13 crystallization occur, things that you would not
14 anticipate if you were not really investing in
15 qualified manufacturing methods. And then there
16 has to be a, you know, a stability indicating
17 analytical method to make sure that you're
18 checking of the ongoing stability of the products
19 is consistent with what's on the label.

20 And this has been humbling for us
21 because as we have integrated these disciplines
22 into the business, we have discovered that nearly

1 30 percent of the marketable allergens in Germany,
2 they're not stable at room temperature for 12
3 months. And that is indicative of the importance
4 of why we need to be sure that we have the
5 analytical methods in place. They evaporate, they
6 polymerize, they crystallize, they hydrolyze, and
7 even some of them are photosensitive through the
8 syringe in which the petrolatum is placed. Next.

9 So when we look at what we've already
10 heard about as it relates to how we approach this,
11 the requirements for the quality data are fairly
12 similar between what you've heard from Dr. Vera in
13 the EU. Certainly that is being embraced in Italy
14 and in Spain now. There is congruence with what
15 the FDA is asking for, where there is an
16 expectation of comprehensive quality data for each
17 individual allergen that demonstrates the
18 identity, purity, and potency. And we heard that
19 the Canadian model, it's just four licenses with
20 one representative allergen dossier per -- for
21 each of those four. And the disciplines that
22 we've just discussed are not in place before these

1 allergens are distributed. So it's very limited.

2 Next.

3 And then if we look at the requirements
4 on the clinical side, this is where there's been a
5 differentiation between the EU and the United
6 States. The USA has still preferred a phase 2 to
7 make sure that the right dose in the right
8 excipient is chosen and then a further study for
9 phase 3 that helps address additional safety
10 signs. Whereas the EU for this core category of
11 allergens that we're talking about, that are the
12 primary ones that we're really desperate for
13 access to on a regulated basis, are, at this
14 point, being subjected to the well-established use
15 bibliographic data support, together with, you
16 know, what we already talked about with the PR and
17 RI data. So we think that that's a balanced
18 approach at this point for the core allergens and
19 certainly different from none of this being
20 required in terms of the dossier for each of these
21 individual allergens submitted in Canada. Next.

22 So in kind of stepping back, we think it

1 is an unacceptable situation right now where it
2 takes five to seven years, even more than that
3 when you throw in COVID, to generate the adequate
4 phase 2 and phase 3 clinical data that the CBER is
5 now requiring in order to submit and review these
6 allergens. This needs to be accelerated. These
7 allergens need to be available to treat patients.
8 So we believe there needs to be immediate change
9 in the regulatory paradigm for these patch test
10 allergens in order to ensure their availability.

11 And we like the model that CBER used for
12 the type 1 prick test diluents (phonetic) 15 or
13 more years ago, where there was a whole bunch of
14 them in use and the data wasn't great and the FDA
15 knew it and probably most of the manufacturers
16 knew it, but instead of just withdrawing them all,
17 they gave a timeline of here's what we need from
18 you and if you can't provide it and demonstrate
19 safety and efficacy, then we're going to withdraw
20 them. And that process I think was a way to
21 preserve availability, but still force the
22 industry to comply with what was needed to get

1 these products to a level appropriate regulation.

2 So we recommend an approach that
3 harmonizes what CBER is expecting here with PEI
4 and IFA for the approval of these core allergens
5 that are of critical importance to us now. And I
6 think that it's fair for us to communicate that we
7 have submitted a fairly detailed proposal on what
8 that could look like and, hopefully, over the next
9 months we will begin a process of trying to get
10 more detail into what that could look like. Next.

11 So the emerging category that we talked
12 about, the isobornyl acrylate example, I think in
13 any given year we tend to find something that is
14 emerging and we need something now and a different
15 solution needs to be architected for that. And
16 you have heard, as we already said, Dr. Mahler
17 talk about that. It is, I think reasonable to get
18 a, you know, a phase 2/3 small study with a
19 suspected allergen or substance that's in a new
20 device or drug or consumer product that can be
21 used in patients using -- benefiting only from
22 interim analytical methods and stability. And

1 then if it does, in fact, become something that is
2 clearly of significant importance for it to be
3 added to a standard series, then the additional
4 disciplines that we would expect from a core
5 allergen would then be integrated.

6 And I think that's what resulted in a
7 solution that was reasonable for isobornyl
8 acrylate. I hope the next time it's even a little
9 faster where that can be accomplished. But I
10 think we need something like that.

11 If it is an allergen at that point that
12 does not emerge as justifying the investment in
13 all of those analytical methods and manufacturing
14 processes and stability. We think it probably
15 needs to, for the moment, stay with a short-term
16 availability on a compounded named patient basis,
17 so that those that are treating these really
18 unusual rare things still have something available
19 to solve the patient's problem. Next.

20 So, in summary, I think these are the
21 four takeaway topics that we think need to be
22 addressed. We believe that we need to harmonize

1 the requirements for the clinical data for these
2 core categories of allergens with the EU for patch
3 tests using some modified, well established use
4 approach so that we can make these available and
5 the patients get the diagnoses they deserve.

6 Secondly, we think if it's in that
7 category of an emerging allergen, use data from a
8 small combined phase 2/3 study with suspected
9 allergens and use these interim analytical methods
10 for the stability for them.

11 Third, we think we need to adapt the CMC
12 requirements. This is not a therapeutic drug.
13 They need to be adapted, but we still need to be
14 able to ensure identity, purity, potency of these
15 patch tests, and get some wiggle room because many
16 of these are very, very unique.

17 And then finally, we believe that this
18 process of getting these allergens registered
19 needs to protect their availability during that
20 transition period as we register them, leveraging
21 the well-established use data and these CMC
22 requirements so that the public health does not

1 have lack of availability to what is needed.

2 Thank you very much.

3 DR. WARSHAW: Thank you so much, Curt,
4 for that excellent presentation. Reminder just to
5 go ahead and put questions in the chat. There are
6 already some accumulating there. We will hold
7 those for discussion after all the speakers. Our
8 next speaker is Dr. Amber Breck Atwater, who is a
9 nationally recognized expert in allergic contact
10 dermatitis. She's the past president of the
11 American Contact Dermatitis Society, a member of
12 the North American Contact Dermatitis Group,
13 member of many different organizations, including
14 eczema and international dermatitis organizations.
15 She is also the manager for the American Contact
16 Dermatitis Society's Contact Allergen Management
17 Program, which is designed to help patients find
18 allergy-free products. She's also active in the
19 journals Dermatitis and Cutis as an associate
20 editor, and is currently in private practice in
21 Virginia and also a clinical associate professor
22 of dermatology at George Washington University as

1 well as at Duke.

2 So, Amber, thank you for talking to us
3 today about the patch tests that are available in
4 the United States, Canada, and the EU.

5 DR. ATWATER: Thank you. Can you see my
6 slides?

7 DR. WARSHAW: Yes.

8 SPEAKER: Yes.

9 DR. ATWATER: Oh, you can? Okay, great.
10 All right, great. Well, thank you so much for
11 inviting me to speak today. I'm excited to talk
12 with you about this topic. Today we'll talk about
13 patch tests available in the United States,
14 Canada, and the European Union. And I think you
15 all have gotten a little feel for that already
16 today. But I'll go into a little bit more detail.

17 So we'll start with the United States
18 because that's where we're located today and
19 that's what we're talking about. First, and
20 you've heard this already today, we have available
21 to us for patch testing, the TRUE Test, which you
22 can see the three panels here on this slide. And

1 you can see here that there's 36 chemicals, one of
2 which is a negative control. So we're able to
3 test 35 allergens with the TRUE Test. And this is
4 approved in the U.S. for people who are age six
5 and up.

6 So the question you'll ask yourself as
7 we go through these different panels and ways to
8 test is why would we prefer to TRUE Test? Or why
9 would TRUE Test be recommended? So many patch
10 testers who do what's called comprehensive
11 testing, who do this regularly at a high volume,
12 do not use TRUE Tests. But those of us who need a
13 quick application, those of us who need a known
14 standard, a dependable product, need something
15 quick, will use TRUE Tests. And those are the
16 main reasons that dermatologists and allergists in
17 the United States might choose this.

18 But why would we need to test more than
19 just TRUE Tests? I just mentioned that many of us
20 who patch tests at high volume do not use TRUE.
21 Why would we need to test more than TRUE Tests?

22 Well, when we look at data, and this is

1 a study published by the North American Contact
2 Dermatitis Group most recently in 2025, but from
3 allergens tested in the years 2021 to 2022, when
4 we look at that data, we find that about 50 to 60
5 percent of allergens are detected with TRUE Test
6 alone. But what that really means for your
7 patient is that at least 40 to 48 percent of
8 allergens are missed with TRUE Test alone. And
9 the reason for the two different numbers is that
10 this has been calculated two different ways. So
11 at a minimum, 40 percent of allergens are missed
12 with TRUE Test alone. That means almost half the
13 time your patient who undergoes patch testing with
14 TRUE Test is not going to find their allergy.
15 That's a big deal.

16 So when we look at performing patch
17 testing, otherwise, we do what's called
18 comprehensive patch testing. And Dr. Brod is
19 going to talk more about this later. But some of
20 the screening series that we might use, you've
21 heard about already today as well, the one that
22 you heard mentioned already today is what's called

1 the American Contact Dermatitis Society Core
2 Series. And it's important to understand that
3 those allergens change over time. And currently
4 this exists -- this consists of 90 allergens.
5 We'll talk more about that in a bit.

6 We also have what's called the North
7 American Contact Dermatitis Group screening series
8 that's used by patch testers in the United States
9 and Canada. We have a number of screening series
10 developed by patch testing manufacturing
11 companies, which can let you allow to choose -- to
12 test a smaller number of allergens, so we have the
13 50, the 65, and the 80. And then if you want to,
14 you can make your own custom screening series.
15 And someone mentioned Mayo Clinic screening series
16 earlier. They have their own specific screening
17 series that they'll work with.

18 So, you know, you think to yourself,
19 sure, 80 or 90 chemicals, that should be great,
20 that should be perfect. But I'm going to answer
21 this question for you, why would we need to test
22 more than just a comprehensive screening series?

1 Well, we look back at North American Contact
2 Dermatitis Group data once again. And when we
3 look at our data from 2001 to 2018. When we
4 looked at that data, we found that almost 22
5 percent of patients had additional positive
6 allergens. Twenty-two percent of the patients
7 that we tested had allergens that weren't
8 identified just with those at that time, 65 to 70
9 chemicals that were tested. That's a big deal.
10 Twenty percent of our patients were not funding
11 their allergens.

12 And so then we get to this concept of
13 what's called "supplemental patch testing." Okay.
14 So this is what we test in addition to a standard
15 screening series at this time of 80 or 90
16 chemicals in the United States. And so there are
17 a bunch of different panels that can be either
18 developed or purchased for supplemental patch
19 testing. These are just some of them. Why are
20 these important? You know, you have a hairdresser
21 that comes in, you need to make sure to test the
22 correct chemicals for them. You have a patient

1 who works in a nail salon or has a potential nail
2 allergy. You need to make sure to test your nail
3 acrylate panel for them. You have a patient who
4 has a foot dermatitis, and you need to make sure
5 to test all the chemicals that are relevant that
6 could potentially be present in their shoes. And
7 just to be clear, this is not an exhaustive list
8 of allergens that we need available for us for
9 testing.

10 So what you see on this slide, and
11 there'll be four slides that we'll look at here is
12 the top 10 North American Contact Dermatitis Group
13 allergens of the most recent publication, which
14 was published in 2021 to 2022. And what I'm
15 showing you here for these top 10 allergens from
16 for 2021, 2022, and this is the most recent data
17 that we have published, what you can see is that
18 these top 10 allergens have not remained the same
19 over time. Right? So I'm going to draw attention
20 to the allergen in orange. This is nickel, 2.5
21 percent. This bumped up a bit in 2021, 2022, and
22 this possibly bumped up, or we maybe became more

1 aware of it in this time period because we started
2 testing to a higher concentration of nickel at 5
3 percent. We need the flexibility to be able to
4 test natural allergens at different concentrations
5 when it appears to be that we're going to be able
6 to diagnose our patients better and more
7 efficiently.

8 What you'll also see here on this slide
9 is the allergens in green. So in 2005 to 2006, in
10 light green, at the bottom of your screen, you see
11 the chemical methylchloroisothiazolinone,
12 methylisothiazolinone. And you see that slowly
13 trending up over time until it stops right in
14 2013. Why did we stop testing that in 2013?
15 Because we realized that in order to identify the
16 very common allergy of methylisothiazolinone, we
17 needed to test that combined chemical, MCI/MI,
18 I'll call it, at a higher concentration. So just
19 above that, in the darker green in 2013, you see,
20 we're testing at a higher concentration. And then
21 just to the right of that even deeper green, we
22 start testing methylisothiazolinone alone in 2015.

1 We need to be able to test allergens at
2 different concentrations and maybe stop testing
3 specific allergens when allergens change over
4 time. And the reason that happens is because
5 exposures to chemicals and products change over
6 time. And that may be regional, national, or
7 international the way that these changes occur.
8 Towards 2017 and later, you see a bunch of other
9 allergens. And these are top 10 allergens that we
10 start testing, and we need to be able to add these
11 as needed for our patients in the United States.

12 This is similar data. This is number 11
13 through 20 for this 2021/'22 data. And I'm just
14 going to highlight a couple of things. Up in the
15 top left corner, we see a green color starting in
16 2005. This is neomycin. You see this trending
17 down over time. It's still relevant to test
18 because we see positivity of at least 0.3 percent
19 in consecutive tested populations. But we do see
20 that allergen trends change over time. And that's
21 the important point for this data.

22 Now, the next three slides I find

1 amazing because -- I'm sorry, the next two slides,
2 because these are top 20 allergens for other
3 years. So different than '21, '22, we have a
4 bunch of other chemicals that have been top 20
5 allergens over time. Allergen trends change over
6 time, and we need to be aware of that in the
7 United States and not be fixed on a very small set
8 of allergens that we have available for testing
9 for our patients. So you see the trends going up
10 and down.

11 You can see that in this slide --
12 actually, the next slide, you can see that a
13 couple of our formaldehyde releasers are going
14 down over time. And that is because our exposure
15 to formaldehyde and formaldehyde releasers in the
16 United States and products is going down over
17 time. So you can see in yellow diazolidinyl urea
18 trending down over time. You see the -- in like
19 the pink color towards the bottom, imidazolidinyl
20 urea, another formaldehyde releaser trending down
21 over time. These trends are important and
22 relevant for our patients.

1 Now, we mentioned the ACDS Core Series
2 earlier, and it's important to talk about the fact
3 that the ACDS Core Series is not a static series
4 either. So I just have three of the series
5 mentioned here, but the series is updated by
6 members of the American Contact Dermatitis Series
7 -- Society on a regular basis. So you see here
8 that we've had updated series in 2013, 2017, and
9 2020. And on the left side of the screen, you see
10 our allergens, our top 90 allergens for 2020.

11 But what's the most Important here, and
12 the reason that this is in small font, is this
13 table. In 2020, and every time they do an update,
14 we changed our allergens. We changed what was
15 relevant and needed to be tested for our patients.
16 You see the number of changes were made here in
17 2020 for that ACDS core series of 90 allergens.

18 So then you're going to ask, okay, how
19 do you purchase or how could you purchase
20 allergens in the United States? So I am going to
21 mention company names. There are only two, and
22 these are the two. So, firstly, if you are

1 purchasing TRUE Test, you will purchase that from
2 SmartPractice. If you want to purchase what's
3 called comprehensive allergens, you can purchase
4 that from either of the companies listed here on
5 this slide.

6 There are some individuals who purchase
7 allergens from something called the SmartPractice
8 Allergen Bank. And this is essentially a
9 situation where you can write a prescription for
10 your patient to get specific allergens shipped to
11 you specifically for that one patient, and we can
12 order that from SmartPractice Allergen Bank.

13 And then you're going to ask yourself,
14 well, how many allergens can you purchase in the
15 United States? So, firstly, we talked about TRUE
16 Test. We have 35 allergens within that test
17 itself. From SmartPractice, the company that you
18 saw on the right-hand of the slide previously, on
19 their website, as of October 2nd, they had 544
20 chemicals listed. For Dormer, which is this
21 company on the left of the slide you saw
22 previously, as of October 2nd, there were 475

1 chemicals. Caveat being for neither company is
2 every chemical always available. There are
3 sometimes back orders or pauses in production, so
4 probably a few less for both companies available
5 at any moment, but many more than 35 and many more
6 than 80 or 90.

7 So let's move on to Canada. We heard
8 about Canada from Dr. DeKoven earlier, so we are
9 already familiar with that. But what would
10 Canadians test if they're going to do a
11 comprehensive screening series? They test similar
12 to the way that we test in the United States. I
13 changed the order a little bit for these screening
14 series, but truly, most Canadians who do
15 comprehensive patch testing will do something
16 similar to what we do in the United States. And
17 some of them use the American Contact Dermatitis
18 Society Core 90 Series because many Canadians are
19 a member of the ACDS.

20 You heard from Dr. DeKoven earlier that
21 they do have approval for allergens. In Canada,
22 they only have approval for allergens to be

1 purchased from one company, and that company is
2 listed here. And so according to the president of
3 Dormer Laboratories, recently I had a conversation
4 with him about this, he said that in 2017, 539
5 allergens were approved for Canada. About 475, as
6 I mentioned a moment ago, are listed on the
7 website. And according to him, in addition to
8 those 475, there have been requests for compounds
9 not available for purchase. So people saying, I
10 really want to be able to test this chemical for
11 my patient. Are you able to provide this for us?

12 Going back, I just want to mention one
13 thing. TRUE Test is not available for use in
14 Canada.

15 Moving on to the European Union, we've
16 heard a lot about this and so we'll talk about it.
17 So TRUE Test is approved for 12 countries in the
18 European Union and they're listed here. It's only
19 approved for adults and they have 35 allergens
20 approved, same allergens as TRUE Test which we
21 have available to us in the United States.

22 In the European Union, there is some

1 variability as to what a screening series might
2 look like. There are screening series available
3 on the websites for the different companies that
4 sell them. Different countries might have their
5 own screening series. And then there might be
6 specific research groups that choose their own
7 screening series as well.

8 In the European Union, they are --
9 technically have access to Chemotechnique and
10 SmartPractice allergens. Chemotechnique is a
11 similar company to Dormer, which we have access to
12 in Canada and the United States.

13 And so the most important point of this
14 next section that I want to communicate with you,
15 to my understanding in conversations with
16 colleagues in the European Union, is that there
17 are differences in allergen access across the
18 European Union, despite the conversation that
19 we've been having so far today. So I contacted a
20 colleague in Germany, actually maybe about five or
21 six colleagues in the same email chain. One
22 responded back and said they were speaking for the

1 group and they communicated with me similar to
2 what you heard earlier: Only allergens with
3 market authorizations can be purchased from one
4 company. And they said that of the 174 allergens
5 with market authorization, it's their opinion that
6 only 86 are currently obtainable. This is a lower
7 number than what we have access to in the United
8 States from a standpoint of ACDS standard
9 screening series.

10 Now, I want to acknowledge that the
11 number 174 is not the same as the number Dr.
12 Mahler mentioned earlier of 167. And in a minute
13 you'll see another number that I got from
14 SmartPractice, but it's a similar ballpark for the
15 three groups.

16 My colleague let me know that there is a
17 legal exemption available to them, so that if they
18 don't have access to these specific allergens that
19 they want tested, they can reach out to other
20 countries to get, if available. In this case, in
21 Germany, they reach out to Italy when they need
22 additional allergens. This can be quite a

1 difficult process, expensive, and not easy for
2 them to do. Pharmacies can prepare patch test
3 substances as well.

4 Now, I spoke with SmartPractice about
5 this and what they said was that there are 169
6 allergens available with licenses and that 121
7 allergens that have temporary authorization,
8 meaning they can get via pharmacy from Italy if
9 they need to get access to those allergens.

10 When we look at Italy, my colleague
11 communicated that they only have access to
12 SmartPractice allergens. There might be some
13 restriction for them regarding occupational
14 allergen access. SmartPractice said that there
15 are seven allergens available with licenses and
16 that an additional 321 can be accessed through
17 temporary authorization. In Denmark, according to
18 SmartPractice, there are 442 allergens with
19 temporary authorization. In Spain, a colleague
20 communicated that they do have access to both
21 companies' allergens. If they need specific
22 products, patient -- personal care products, they

1 can be diluted by a pharmacy. SmartPractice says
2 that there's 1 allergen available with license, an
3 additional 321 allergens available with temporary
4 authorization.

5 In Poland they predominantly have access
6 to Chemotechnique allergens. They cannot get
7 access to allergens that are pharmacologically
8 active. So, for example, neomycin, gentamicin,
9 corticosteroids, they'd have to order from a
10 pharmacy. And they can prepare patients own
11 products for patch testing. They do also have
12 access to TRUE Tests there. And then my colleague
13 in Netherlands said they had access to both
14 companies.

15 This is the last country, Switzerland.
16 They can get allergens from SmartPractice and a
17 few from Chemotechnique. They can get most
18 allergens but not all. And they can test
19 patients' personal care products.

20 And that is it. Happy to take your
21 questions later.

22 DR. WARSHAW: Thank you so much, Amber,

1 for that comprehensive overview of the allergens
2 available.

3 Our next speaker is Dr. Bruce Brod, who
4 is going to talk about comprehensive testing in
5 the United States. He is a clinical professor of
6 dermatology and director of the Contact and
7 Occupational Dermatology Clinic as well as the
8 associate dean of Continuing Medical Education at
9 the University of Pennsylvania. He is also a past
10 president of the American Contact Dermatitis
11 Society as well as a section editor for our
12 journal. He has contributed significantly to the
13 understanding and management of allergic contact
14 dermatitis, including writing several book
15 chapters on the topic and has lectured nationally
16 and internationally on patch testing.

17 So thank you so much, Bruce, for talking
18 with us today.

19 DR. BROD: Thank you, Erin. Can
20 everybody see my presentation? I'm just checking.
21 Can everybody see?

22 SPEAKER: No.

1 DR. WARSHAW: No, not yet.

2 SPEAKER: We're seeing your Teams
3 window.

4 DR. BROD: Okay.

5 SPEAKER: If you'd like, I could also
6 share your presentation.

7 DR. BROD: Yeah. How about that?

8 SPEAKER: Yes.

9 DR. BROD: Great. All right. Thank
10 you. Thanks, Erin. Thanks for having me. And
11 today my goal is to share a little bit of what
12 comprehensive patch testing is and why it's
13 essential for diagnosing allergic contact
14 dermatitis.

15 To put things into perspective, we see
16 that every day, typically, Americans use
17 approximately 12 personal care products. That
18 exposes them on average to 168 unique different
19 chemical substances on a daily basis. And then if
20 you look across the board at our personal care
21 products in the U.S., you know, shampoos, lotions,
22 conditioners, that's 10,000 unique substances in

1 personal care products. That doesn't include the
2 thousands more that people are exposed to every
3 day in the workplace. So you can see the
4 potential for skin sensitization is significant.

5 I show this book, Dr. Anton de Groot
6 from Europe has cataloged over 4,000 potential
7 contact allergens that have caused allergy in some
8 number of patients. And we use this as a
9 reference. And most -- so most of the allergens
10 that have been cataloged and documented aren't
11 commercially available.

12 Comprehensive patch testing is -- it's
13 not just a test. It's really a detailed
14 investigation. And so what we do, what I do in my
15 clinic is we really take a deep dive with
16 patients. We try to match the topical allergens
17 that we use to test a patient to, to their unique
18 exposure at home, at work, their hobbies. And
19 yes, we typically in our patients use one of the
20 comprehensive baseline series. At Penn, I use the
21 ACDS core, NACDG, and we saw and Amber talked
22 about other variants, but we also couple that with

1 supplemental panels. But this isn't done
2 willy-nilly. It's done in the context of doing a
3 very detailed exposure history with patients. We
4 look at the rash, that -- where it is, the timing
5 of the -- and the timing of those symptoms.

6 And so if we lived in a world without a
7 broad array of relevant allergens, we're really
8 going to miss diagnoses in patients and we're
9 going to leave patients kind of stuck in a cycle
10 that you see on the right where it's just going to
11 be kind of guesswork and broad avoidance of
12 allergens. Even with comprehensive testing, you
13 know, there's still limitations, right? I mean,
14 we're not going to get to perfect. And we talk --
15 you know, remember that number 4,000. So if you
16 think about, you know, even a good comprehensive
17 baseline screening series of 80 or 90 allergens,
18 that's like 2 percent of known allergens. So, no
19 matter what, we're operating at the tip of the
20 iceberg. And that's why we need to be nimble and
21 that's why we need access to broader panels
22 because if not, and we miss the key triggers for

1 allergic contact, patients are going to suffer.

2 So I'll talk briefly, this is a landmark
3 study that's well known to many patch testing
4 dermatologists done by the NACDG, a retrospective
5 that looked back about 43,000 patients, so a large
6 cohort. And also on this slide I put the -- you
7 know, just to elucidate the different supplemental
8 series that we use at Penn. So at Penn, and our
9 general derms, you know, still find utility in a
10 quick screen using the FDA TRUE Test. But
11 sometimes I end up seeing a lot of those patients
12 if they're not improving for more comprehensive
13 testing.

14 So this, you know, I'll talk a little
15 bit about the landmark study from the NACDG, but
16 basically to summarize that the results indicated,
17 and we heard some of this before, that -- and this
18 looked at patients tested to comprehensive
19 baseline and supplemental series, that 22 percent
20 of these patients had relevant reactions to
21 supplemental allergens. And out of that group,
22 about a quarter of them only reacted to

1 supplemental allergens. So, you know, the bottom
2 line is just using standard panels without
3 supplemental series is going to miss a fair number
4 of relevant allergens. And then just looking into
5 occupational allergens, 17 percent would have been
6 missed without supplemental panels.

7 And this has been validated. This is a
8 list and it's not all of the studies, but these
9 are multiple U.S. and some Canadian partnership
10 studies that have also looked at the impact of
11 using supplemental allergens and what would have
12 been missed. And the data is pretty consistent
13 that more limited testing would miss around, give
14 or take, 20 percent of the relevant allergens. So
15 this is underdiagnosis and it would affect patient
16 care.

17 This slide is really about best
18 practices and the nuts and bolts of what goes on
19 in a comprehensive patch test clinic. And I'll
20 start by saying that an important part of patch
21 testing isn't just commercially available
22 allergens, but it includes testing the patient's

1 own products, leave-on products, when the product
2 is under suspicion based on the location and the
3 timing of the dermatitis. And so that might
4 include, you know, lotions, hair products, hair
5 gels in the neat form, but sometimes gloves,
6 sometimes parts of shoes.

7 And we really do this for a number of
8 reasons. One, to determine relevance, which ends
9 up being high when the allergens that are positive
10 on patch testing are in the product ingredient
11 label. And another reason is, secondly, when
12 there is negative correlation. So, you know,
13 patient is positive patch test to a product, but
14 negative to the individual allergens, right,
15 because we don't have access to the entire
16 universe of allergens. That leads us to take a
17 deeper dive into the ingredients and the products
18 and prompts us sometimes to obtain the
19 commercially available allergens on the product
20 label that we may have missed.

21 So the other component, of course, as I
22 stated before, is choosing supplemental series

1 based on a very detailed exposure history. And
2 that's really important for high-risk occupations
3 like hairstylists, machinists, and healthcare
4 workers. And if we look at kind of what the
5 standard community of care among patch testers, as
6 we can look at some of the data from these survey
7 studies from ACDS members, these tend to be patch
8 testing physicians, and you can see from the
9 surveyed members, the majority of patients test to
10 more than 81 allergens. So we see that
11 comprehensive patch testing is common, but not
12 everybody does. Right? There's still a practice
13 gap and maybe there's more many reasons for those
14 gaps, sometimes institutional barriers, you know,
15 and other reasons as well.

16 And then in this slide from the survey
17 data, we see that most ACDS members surveyed test
18 either always or at least some of the time to
19 supplemental allergens. So I'd like to shift and
20 look at some real-world cases where access to
21 allergens beyond the FDA approved 35 made a
22 meaningful difference in patient outcomes.

1 And so we heard about MI, but I kind of
2 want to take a little bit of a deeper dive into
3 that. So we heard that MI, methylisothiazolinone,
4 is a widely used preservative and a very frequent
5 and relevant allergen. But it wasn't always
6 tested separately. At one time it was only --
7 it's part of the FDA approved series and we tested
8 it in combination with
9 methylchloroisothiazolinone. And if that were to
10 hold true today, we would have missed an entire
11 epidemic of MI allergy, which in part is really
12 still going on today, as we heard. So thanks to
13 flexible access, when we started to see a signal
14 for MI allergy, we were able to identify patients
15 with this early on in the story, maybe not as
16 early as we would have liked.

17 So as we look at this timeline, we see
18 that in the '80s, MCI and MI was used commonly in
19 combination. But there was a shift and industry
20 started using it as a standalone product. And so
21 the concentration increased by just 25-fold in
22 personal care products. And had we just tested to

1 the MCMI combo because the MI concentration in
2 that is much lower, we would have missed about 40
3 percent of the MI allergies because the
4 concentration was too low. So our ability to
5 pivot obtain commercially available MI as a
6 standalone preservative at a higher concentration
7 was key to recognizing and responding to this
8 emerging epidemic. I'll show you a couple cases.

9 This is a 52-year-old with severe facial
10 dermatitis, really debilitating. Patch tested the
11 patient and you can see that there's a positive
12 reaction to MI, methylisothiazolinone, and a
13 negative reaction to MCMI, the combination. And
14 the source was not difficult to determine. The
15 patient was using a shampoo and you can see on the
16 ingredient label the shampoo contain
17 methylisothiazolinone. So this patient had
18 improvement.

19 And another similar case, this was a
20 75-year-old with disabling hand dermatitis. I
21 mean, these patients are cripples. I mean the
22 hands are red, they're cracked, they're fissured,

1 they're bleeding. Patients, you know, can't open
2 a jar when they have this. And again, you can see
3 the patient had a positive reaction to MI, but a
4 negative reaction to MCI/MI. And the source was
5 the dish soap.

6 And so now today, MI is found in
7 thousands of products and allergy has become so
8 common and life-altering that there's actually a
9 dedicated Facebook support group within thousands
10 of members who have methylisothiazolinone allergy,
11 you know, providing tips and tricks and sharing
12 their stories. And I think this is really
13 important because it underscores the real-world
14 impact and why it was so critical we had access to
15 this allergen.

16 And we talked about how patients really
17 can't be their own detective because of the
18 delayed type nature of contact dermatitis. And I
19 think this really underscores that. This was a
20 62-year-old, who came to me with intermittent
21 pruritic facial dermatitis for two years. And the
22 patient, their correlation was it always occurred

1 after air travel. The patient did frequent air
2 travel for business and believed that they were
3 allergic to something in the airplane air
4 filtering system, something like that.

5 But patch testing revealed acrylate
6 allergy and we were able to link that to nail
7 cosmetic products used before the trips. But, you
8 know, a patient would go to the nail salon, have
9 gel nails placed. We know it's a delayed
10 reaction, so that wouldn't occur until several
11 days later when the patient was already, you know,
12 on the airplane or coming off. But you can see
13 the patch testing confirmed multiple acrylate
14 allergies, with the source being her gel nail
15 manicures. And most of the acrylates that we test
16 at Penn are part of the supplemental series, so we
17 would miss this.

18 Acrylates from nail products cause hand
19 and nail reactions pretty severely, so these are
20 important to detect. We see some of the
21 consequences. And you know, when the nails
22 separate, that's called onycholysis. And we see

1 that with acrylate allergy to some of the
2 porcelain nails, it affects tactile function and
3 affects dexterity. And with the gel nail users,
4 besides seeing reactions on the face, we see a lot
5 of dermatitis and swelling around the nail folds
6 as well.

7 And we really saw a spike in this during
8 COVID, right, because nobody could get to the nail
9 salon. So home acrylic nail kit use surged. And
10 it was really important for us to be able to test
11 patients to these acrylates, again, mostly in
12 supplemental series. And it was a perfect storm,
13 right, because, you know, patients were ordering
14 these kits from online suppliers. These are
15 potent sensitizers. We found out many of them
16 were being trained on how to use these potent
17 sensitizers, these home users, from social media.
18 And so if acrylates aren't cured completely,
19 they're going to be allergenic. And so, you know,
20 we think a lot of these patients became
21 sensitized, and they're still in use today in the
22 United States. People can order these home kits

1 that are very popular, and so I think we're still
2 sensitizing a lot of patients.

3 And as we saw, acrylates are common in
4 all sorts of medical devices. And identifying
5 these can be very challenging. It's often
6 proprietary. We were able to identify IBOA and
7 appreciate the manufacturers for making these
8 commercially available, but there's others out
9 there and we're not always going to be able to be
10 so fortunate to identify these device allergens.
11 But when we can, and we all from Dr. Yu's talk,
12 it's really helpful.

13 Another case, this is a massage
14 therapist who had chronic hand dermatitis. Patch
15 testing revealed allergy to lavender. Lavender is
16 an uncommon -- relatively uncommon on positive --
17 positive on patch testing. Massage therapists
18 like aromatherapy. They put it into their massage
19 oils. It's relaxing. And so this is a good
20 example of both occupational dermatitis and
21 botanical allergy. And you know, so this is --
22 these hands are pretty dysfunctional for somebody

1 who does massage therapy for a living. And patch
2 testing revealed reactions to the patient's
3 lavender, but also commercially available lavender
4 oil.

5 And I think one of the takes critical
6 messages here is the patient did not react to the
7 standard fragrance markers like fragrance mix 1
8 and 2 in balsam of Peru. And that's often the
9 case with botanicals. Even though they're
10 building blocks, they have to be tested
11 individually. And so where I patch test at Penn,
12 I have several botanical supplemental series based
13 on exposures.

14 Again, the standard fragrance mix in the
15 approved -- FDA approved type allergens don't
16 often detect botanical allergies. And there's a
17 huge consumer demand today for all natural.
18 Consumers really like using products that have
19 botanicals in it. To identify these reactions
20 because they are contact allergens to things like
21 lavender or to tea tree oil, things like
22 peppermint, we have to use -- we need access to

1 supplemental series to diagnose these patients.

2 And then the last case, this is a
3 middle-aged patient who came to me with a
4 widespread, highly pruritic dermatitis. And it
5 happened after spinal fusion surgery. And the
6 patient presented with this concentrated area of
7 dermatitis on the lower back over the surgical
8 site, but sometimes we see reactions become
9 systematized. So this patient also had a
10 widespread eczematous eruption, red scaly patches,
11 couldn't sleep, really couldn't function like a
12 normal person with really diminished quality of
13 life.

14 And so the material used for the fusion
15 included osteo screws with vanadium. And so patch
16 testing was very helpful and very confirmatory
17 here, revealed a reaction to vanadium coinciding
18 with the metal used in the implant screws. We
19 confirmed this with the orthopedist. And these --
20 vanadium is not on the standard series, so we
21 wouldn't have been able to diagnose this at all.
22 And removal of the implant, although not

1 immediate, after about six weeks, led to
2 resolution of all these symptoms.

3 So, hopefully, that's been helpful to
4 kind of give us a real-world feel of the impact of
5 supplemental testing. And I'll conclude by saying
6 when you do comprehensive patch testing, this is
7 really a type of personalized medicine. I think
8 patch testers were doing personalized medicine
9 before it became a thing. And I'll say that
10 nearly 60 to 70 percent of our patients improve
11 with targeted allergen avoidance after
12 comprehensive patch testing. So basically testing
13 to 100, 100-plus, or more allergens based on
14 history when indicated, helps us tailor avoidance
15 to those exposures and leads us on a journey to
16 begin to find a cure or improvement in those
17 patients. And, you know, the whole test takes,
18 you know, anywhere from 72 to 96 hours. It's
19 practical, it's a personalized diagnostic
20 approach, and it delivers better patient outcomes.

21 Thank you.

22 DR. WARSHAW: Thank you so much, Bruce,

1 for that great talk. Our next speaker is Dr. Luz
2 Fonacier, who is a professor of medicine at NYU,
3 where she serves as head of allergy as well as the
4 training program director. She is unique in that
5 she completed residencies in both dermatology and
6 internal medicine, followed by two fellowships,
7 one in allergy and immunology and the second in
8 dermal immunology. She's the past president of
9 the American College of Allergy and Asthma and is
10 the chair of the American Board of Allergy and
11 Immunology. She has published 20 textbook
12 chapters and many journal articles. And because
13 of her training in dermatology, allergy, and
14 immunology, her special interest is in the
15 dermatological manifestation of allergic diseases.
16 And she's going to talk on alternative diagnostic
17 tests for allergic contact dermatitis.

18 So thank you.

19 DR. FONACIER: Thank you, Erin. I am
20 having difficulty putting this in the mode for
21 presentation, but I can actually advance it from
22 here. So if it's okay with everybody, I will stay

1 on this mode. So my topic is a little bit --

2 SPEAKER: We can see it just fine.

3 DR. FONACIER: I'm sorry?

4 SPEAKER: It's perfect. We see it just
5 fine and we can hear you.

6 DR. FONACIER: Okay. Thank you. So
7 it's a little bit different. Everybody's been
8 talking about the patch test and during this whole
9 morning and then a half of this afternoon. But my
10 topic is what if you cannot patch test? What are
11 the alternative approaches and actually what is
12 the gold standard?

13 So these are my disclosures. My
14 research goes to the NYU Langone Hospital and I'm
15 on advisory board in some other pharmaceuticals.

16 So I would like to discuss alternative
17 approaches to the diagnosis of allergic contact
18 dermatitis and two possible scenarios. One is
19 prior to your doing the patch test and the second
20 is if the patch test cannot be done. But there
21 are, as we have heard already, many barriers to
22 patch testing. One it's a time-intensive process.

1 It takes three visits, the application, 72 to 96
2 -- removal at 48 hours, and then you have the 72
3 to 96 hours. The second is cost and insurance
4 coverages, availability of service, and finally, I
5 think very important, is geographic limitations of
6 the providers. There are really very few good
7 patch test areas in many parts of the United
8 States and around the world as well.

9 So the first thing that actually
10 patients do even before they come to your office
11 is an empiric allergen avoidance. This could be
12 patient-driven or physician-driven. When the
13 patient tries a product, they had a reaction, then
14 they kind of know what it is and they remove it.
15 So on their own they will change, they will
16 eliminate, they will avoid products. And they
17 also would like -- they think that hypoallergenic
18 products will eliminate most of the allergen, but
19 the patient thinks that natural is hypoallergenic.
20 As an allergist I always say, oh, yeah, you know
21 what? Poison ivy is also natural.

22 So patient -- physician-driven will be

1 based on history and the physical exam. The
2 physician needs to do a detailed physical history
3 and of the product use, occupational and hobby
4 exposures, topography, location of your
5 dermatitis. Consider, at least for us, allergy is
6 very important, ectopic, airborne, and contact
7 contact dermatitis. And finally, if the patient
8 does improve, consider reintroduction of the
9 products.

10 There are very many disadvantages for
11 doing this. One is the cost of hypoallergenic
12 products. They are more expensive than what the
13 over-the-counter products are. Second is
14 acceptability of these products. I mean, they're
15 not as accepted by the patient. There is also
16 unnecessary avoidance of products that the patient
17 is not allergic to. The fourth is the labeling
18 issues that not all labeling -- not all the
19 ingredients in the product are put in the label.
20 There's a difficulty in doing this if you have a
21 generalized contact dermatitis. And finally, by
22 doing empiric avoidance, you are unable to really

1 identify the culprit allergen.

2 So the presentation of contact
3 dermatitis, as we said already, you know, is
4 location, location, location. And really these
5 are the most common location. And this is where
6 the patient will be trying to avoid whatever
7 contact allergen they are suspecting. And we
8 published this in the annals, and these are the
9 things that are likely products, depending on the
10 patient's distribution of dermatitis. And you can
11 see it is pretty difficult to avoid these
12 products. There are many products to be avoided
13 to -- actually in facial dermatitis. And if you
14 look at the lip dermatitis, although there are
15 some clues as to whether it's top and bottom or
16 both sides or upper or lower, you still have many
17 products that you need to avoid. And even in the
18 lip dermatitis 3, the most common cause is an
19 irritant contact dermatitis, like in (phonetic)
20 allergic contact dermatitis.

21 Now, it even becomes more difficult when
22 you have a scattered generalized dermatitis

1 because although the most common is textile,
2 formaldehyde resin will also be a common allergen.
3 This is when, in the hospital, the new uniforms
4 that are shrink-free and wrinkle-free was issued
5 and most of the -- some of the nurses and
6 physicians developed this generalized and even
7 spotty contact dermatitis.

8 What about the use of low-contact
9 allergen products? So there's a recommendation
10 prior to patch testing to eliminate the most
11 common allergens. And the most common allergens
12 would be fragrances, formaldehyde resin,
13 non-formaldehyde resin. For preservatives, we
14 heard about MCI/MI, Lanolinko cocamidopropyl
15 betaine, then sulfanone (phonetic) 3 and
16 paraphenylenediamine. And I just use the CAMP
17 site to generate something I will give the patient
18 prior to patch testing. If they are allergic to
19 the most common group of allergens, it might help.
20 But still, they will need a patch test to
21 identify.

22 There is a pediatric preemptive

1 avoidance strategy in pediatric allergic contact
2 dermatitis. They called it P.E.A.S. This was
3 published, that allergen avoidance of these common
4 allergens in children. It's estimated that a
5 third of children with contact dermatitis would
6 potentially benefit from this low contact
7 allergens.

8 Unfortunately, it is difficult avoiding
9 the most common allergens. So the traditional
10 approach is we give the name of the allergen, the
11 patient's asked to review package to identify the
12 products free of these allergens. However, these
13 typical allergen names are really long, difficult
14 to spell, commonly have numerous complex synonyms,
15 and often very intimidating for the patients.
16 Thus, the compliance with allergen avoidance is
17 really frequently difficult.

18 For metals, what are the alternatives
19 for patch testing? So in metals there is such a
20 thing as lymphocyte transformation test. Both the
21 practice parameters in allergy and the ACDS really
22 say that the lymphocyte transformation test is not

1 widely available. It's also subject to
2 variability. You only have about eight different
3 allergens that you can test in the blood and it
4 has a rapid decay of T cells. Remember that you
5 will need live T cells for these tests to come up
6 with a positive test. And transport is important
7 for this test.

8 It can be useful in a few questionable
9 cases. So, for example, there's a data -- there's
10 a publication where you have a negative patch
11 test, but persistent concerns of metal allergy.
12 Fifty-four, 56 of these patients with titanium
13 implants had a negative patch test, but a positive
14 lymphocyte transformation tested titanium and
15 those symptoms resolved after implant removal. So
16 this is a case series where the lymphocyte
17 transformation test may be useful.

18 There are other investigational
19 procedures that are not patch tests. We have
20 investigations on intradermal testing with metal
21 salts. And you have the local lymph node assay
22 for metal allergenicity. Again, they are not

1 ready for prime time. These are all just on the
2 investigational process.

3 There is a move to detect culprit metals
4 if one is known to avoid contact -- is known to be
5 allergic to them. And so for these two metals we
6 have the nickel spot test, which is a
7 dimethylglyoxime test, and the cobalt
8 2-nitroso-1-naphthol-4-sulfonic acid to detect
9 actual allergen in the metal to prevent contact
10 with the patient with a known allergy to them.

11 This has been discussed a little bit,
12 which are the repeat open application test and the
13 use test, where you apply your product on the
14 antecubital fossa and retro auricular for facial
15 dermatitis twice a day for about 7 to 14 days.
16 And you look for any irritation or redness,
17 erythema, or papules. If you have a positive in
18 15 to 30 minutes after the initial application,
19 consider contact urticaria. But if you are
20 thinking of contact dermatitis, you can have
21 delayed reaction. You can reproduce that usually
22 in 7 to 14 days.

1 The absence of a reaction does make
2 contact dermatitis unlikely. The use test is the
3 application of the product suspected to the actual
4 area used as the same way as when the dermatitis
5 developed to prove causation. For example, a
6 facial cream 1-by-1 centimeter area on the face
7 would be considered a use test. The repeat open
8 application test and the use test cannot identify
9 specific causative allergens, but can allow
10 patients to determine which of their personal care
11 products are actually causing reactions and which
12 they can use.

13 The use of barriers can help prevent
14 contact dermatitis, so you have gloves in patients
15 with hand dermatitis. And there is very
16 conflicting data on the use of protection creams.
17 And the conclusion is that taking all of these
18 secondary outcome measures together, the main
19 result of the study is that skin protection cream
20 alone have very small effects on the skin barrier
21 in workers. This is an occupational dermatitis in
22 building and timber industries compared to skin

1 care alone or in combination with skin protection.
2 Another study concluded that the generally
3 recommended skin protection regimen seemed to
4 provide effective prevention of occupational skin
5 diseases in some occupations.

6 Finally, there are government
7 regulations to actually avoid sensitization and
8 even decrease exposure of the patient. So in EU,
9 nickel imposed assemblies inserted in the pierced
10 part of the body, the nickel release should be
11 less than 0.2 centimeters squared per week. In
12 articles in direct and prolonged contact with the
13 skin, nickel release should be less than 0.5
14 centimeters square per week. In Denmark, there's
15 also a nickel derivative where the Danish Ministry
16 of Environmental Statutory Order Number 472 limits
17 the nickel in products, and this is also the same
18 in China. Unfortunately, we do not have such
19 directives in the United States.

20 For methylisothiazolinone, in the EU,
21 they restrict MI in rinse-off products; maximum
22 allowed concentration of 15 parts per million

1 (phonetic) in these products. And
2 p-phenylenediamine in the EU limits the maximum
3 concentration and requires warning labels.

4 So in addition to all of what I said, in
5 place of patch tests, prior to patch test, or
6 prevention of sensitization, the truth is the
7 relief of symptoms average 143 days sooner on
8 patch tested patients as against non-patch tested
9 patients. And the diagnosis of allergic contact
10 dermatitis made solely from history is truly under
11 suspected, underdiagnosed, or even misdiagnosed
12 compared to those patch tested. The
13 identification and avoidance of contact with
14 offending agents is still the key to the success
15 of irritant and atopic allergic contact
16 dermatitis. And still I think Coleman's 1982
17 statement is still true, is that the greatest
18 abuse of patch testing is still the failure to use
19 the test.

20 There are some useful resources that I
21 included in here. And thank you very much for
22 allowing me to participate in this great workshop.

1 DR. WARSHAW: Thank you so much Luz for
2 that really outstanding presentation. Our next
3 speaker is going to talk about "Gaps in Allergic
4 Contact Dermatitis Patch Testing." And that
5 speaker is Dr. Alex Flamm, who is an associate
6 professor of dermatology at NYU, where she
7 practices not only dermatology, but also
8 dermatopathology. Her clinical focus is -- in
9 clinic is on contact dermatitis. She is president
10 elect of the American Contact Dermatitis Society
11 as well as a previous board member of the Society.
12 She is an associate editor for several dermatology
13 journals, including JAAD and Cutis. She received
14 her medical degree from Mount Sinai and did her
15 dermatology residency at SUNY Downstate. She's
16 authored numerous publications and textbook
17 chapters and has multiple institutional and
18 national awards for her teaching and volunteer
19 work.

20 So, Alex, take it away. Okay. Alex,
21 you might be muted. As we're waiting for that
22 presentation to come up, please go ahead and put

1 any questions or comments in the chat so that we
2 can have a robust discussion after the
3 presentations. Just checking on the FDA folks.
4 Do you have Dr. Flamm's presentation?

5 SPEAKER: Yes.

6 DR. WARSHAW: There we go.

7 SPEAKER: She said that she got off.
8 She somehow got taken off the site here, so.

9 DR. WARSHAW: Oh, okay.

10 SPEAKER: I don't know. Just if
11 somebody can keep an eye to see if she's trying to
12 get back in.

13 SPEAKER: We definitely show (phonetic).

14 DR. FLAMM: All right. Can everyone
15 hear me and see me now?

16 DR. WARSHAW: Yes.

17 DR. FLAMM: I tried to share my screen
18 and then I got kicked off. I don't know if you
19 guys are trying to tell me something. You want to
20 finish early maybe. Looks like you already have
21 my presentation up. So why don't we just start
22 with the presentation that we have up over here

1 and we'll get started.

2 All right. So to end things off, I
3 guess, with a bang is probably the best way to put
4 it since we had to have a little bit of technical
5 difficulty over here. My name is Alexandra Flamm.
6 I am an assistant professor of dermatology at NYU.
7 And I get to end off here by talking about our
8 current gaps in patch testing.

9 So what I'm going to say is really what
10 I am going to do here is I am going to be trying
11 to raise questions and speak about what I think is
12 a pretty challenging topic. I don't think what
13 I'm going to be bringing up is particularly new.
14 I think a lot of my colleagues who have spoken
15 have spoken quite eloquently around this. But
16 what I really want to do is start our discussion
17 and to really think about what we need to address
18 in patch testing as we go into our crucial Q&A
19 after this. So let's go to the next slide.

20 All right. So in order to think about
21 what our gaps in patch testing are, we really have
22 to think about what are our guiding principles.

1 So what do we need in order to ensure high-quality
2 care to patients? So, again, this is
3 patient-centered care, so we want to be thinking
4 about access. So if our patients don't have
5 access to patch testing, then what are we doing
6 here? Next is making sure this is high level,
7 personalized medical care for our patients, the
8 flexibility to respond to those new and emerging
9 concerns and allergens that may come up. And in
10 the end, what it's really all about is leading to
11 improved quality of life. So, next slide.

12 So I'm going to bring up a patient over
13 here. This is one of my patients. You can see on
14 the left side, this is where we started, hands
15 that were cracked, that were bleeding. This
16 patient wasn't able to go to work because of all
17 of these open sores. He was out of work for days
18 or even weeks. And this is where we were at the
19 end on the right-hand side, completely clear, able
20 to go to work, able to do the activities that he
21 enjoyed from day to day. So as I talk, I really
22 want to make sure that we're really centering this

1 on the patient. As a clinician, as an educator, I
2 think this is really where our value lies and what
3 we need to do in order to ensure high-value patch
4 testing. Okay. Next slide.

5 So, again, let's talk about those four
6 guiding principles, and then let's talk about gaps
7 associated with them. All right, next slide.

8 Perfect. So access is our number one.
9 We touched upon this a little bit earlier in our
10 session, but I really want to dive into this a
11 little bit more over here. Okay. So let's go to
12 our next slide.

13 So when we talk about access, there's
14 lots of different things we're thinking about.
15 We're thinking about access just to patch testing
16 in general. We're thinking about access to
17 comprehensive patch testing, and also just
18 thinking in general about ensuring that we have
19 access to allergens in order to perform both any
20 patch testing and comprehensive patch testing as
21 well as. Let's move on to the next slide.

22 All right. Access to patch testing.

1 Let's go into it. Next slide. All right. I want
2 to go into some data. We don't have very recent
3 data, but I want to highlight some of the data
4 from the previous decade in order to talk about
5 where we are right now in terms of our access.
6 And when you look at the provider numbers and the
7 physician numbers, those who are doing any patch
8 testing, it's increasing, but it's still
9 persistently low, especially in our rural areas.
10 If you look at some of this data in terms of
11 counties with a dermatologist or with any provider
12 offering patch testing, you can see that maybe in
13 our metro areas we're up to 20 percent or so of
14 counties. But then when you're looking at your
15 rural counties, really any provider offering patch
16 testing, about 1 percent. That means that we have
17 patients that are driving hours or traveling hours
18 in order to get patch testing done, or they're
19 just foregoing it. So this is an area of
20 tremendous need. Next slide.

21 And let's talk about the average number
22 of allergens being placed. So this has increased

1 overall. So you can see in terms of average
2 numbers of patch test per beneficiary among both
3 dermatologists and among all physicians, we're
4 moving up. We're getting closer to that 80. But
5 that average is still not what we've reached at
6 this point in time for comprehensive patch
7 testing. And again, you can see a market
8 divergence where you're seeing more patches being
9 able to be placed in more metro areas and it's
10 going to be lower in our non-metro areas, showing
11 that some of our rural areas in the country really
12 don't have access to comprehensive patch testing.
13 Next slide.

14 And again, that comprehensive patch
15 testing, looking at it, even diving in of greater
16 than 80 or more, it's going to be not as much as
17 we'd like. And I think these numbers are -- you
18 know, they speak for themselves. You can see that
19 in terms of percentages, we're talking about low
20 single digits over here. Next slide.

21 And then just talking about patch
22 testing availability throughout the entire

1 country, not just metro versus non-metro, but
2 regions of the United States. You can see that
3 there's significant variability in regions of the
4 U.S., which -- what percentage offer patch
5 testing. So we're seeing that, again, there's
6 lots of divergence in terms of the type of patch
7 testing that's available depending on where you
8 are in the country. Next slide.

9 So really diving into access. What we
10 need to make sure that we have and what we need to
11 identify is, first off, access to physicians who
12 can accurately identify when there is possible
13 allergic contact dermatitis. So even before you
14 get to patch testing, you need to make sure that
15 you have a clinician that can look at the
16 cutaneous findings, so look at the rash and say,
17 you know, I'm worried this might be allergic
18 contact dermatitis based on what I'm seeing, based
19 on the history, to understand when patch testing
20 is needed as a good next step, and to be able to
21 educate appropriately to patients, hey, I think
22 you might have allergic contact dermatitis, and be

1 able to diagnose its etiologies overall.

2 So we might think this is, you know,
3 very basic, very straightforward. But in our
4 patient testimonials earlier, what we heard was
5 that many of these patients had to see multiple
6 physicians, multiple providers, until they got to
7 that one that said, hey, I think you have allergic
8 contact dermatitis and I think you need patch
9 testing. So this is definitely one area that we
10 have gapped overall. Next slide.

11 And then additionally, like we said,
12 patch testing access. We need to make sure that
13 we have access to physicians and the patch testing
14 centers that can perform patch testing, make sure
15 that there's appropriate placement of allergens,
16 make sure there's correct interpretation of patch
17 testing, and to provide patients with appropriate
18 educational materials. And I think the correct
19 interpretation is so important because we talked
20 about earlier in the session the idea of
21 misdiagnosis. And misdiagnosis may have to do
22 with, you know, the types of allergens that are

1 placed, the concentrations, the purity, and I
2 think that's a great area for discussion. But
3 misdiagnosis also comes from misinterpretation.
4 And so we need to make sure that we are educating
5 physicians on the correct interpretation of patch
6 testing. Next slide.

7 And then comprehensive patch testing
8 access. That's everything we talked about in
9 terms of patch testing access, plus making sure we
10 have access to comprehensive panels of allergens,
11 that 80-plus, those supplemental panels, making
12 sure we have those to accurately diagnose
13 exposures. Next slide.

14 And then let's just talk about allergen
15 access. And we've really talked that this is a
16 real key area of need. It's really important that
17 we have stability of access to our current
18 allergens, which we know have been critical tools
19 to correctly diagnose allergic contact dermatitis.
20 But also to note that we have that flexibility and
21 ability to incorporate new allergens as they come
22 up, as they emerge. Next slide.

1 All right. Let's move on to our next
2 gap. So we'll click ahead over here. So let's
3 talk about personalization. Next slide.

4 All right. So when we're talking about
5 personalized medicine as it relates to allergic
6 contact dermatitis and patch testing, really gaps
7 in areas we need to focus on are real-world data.
8 And we had a really good talk earlier about
9 real-world data versus real-world evidence, so I'm
10 not going to dive into that as much. Talking
11 about the idea of best practices we should follow
12 in order to ensure high-level patch testing. And
13 again, making sure that there's access to
14 customizable testing, knowing that each person's
15 exposure profile and allergen profile are
16 completely different. Next slide.

17 All right. Again, let's talk about that
18 real-world data. So what we're talking about is,
19 you know, in gaps that we want to think about is
20 where is the location of this data? Where is this
21 coming from? Is this coming from international
22 data or is this U.S.-based? We know that the U.S.

1 Is unique. It has a unique patient profile, it
2 has unique manufacturing practices, unique types
3 of products they're exposed to. So being able to
4 have data that's U.S. based is going to be really
5 important. And as we talked about, there's that
6 idea that there's a lot of regionality in terms of
7 allergic contact dermatitis and access to patch
8 testing. So ensuring the data we're drawing on
9 come from all regions of the U.S. and not just
10 from specific areas.

11 How comprehensive is the data that we're
12 drawing on? You know, there's a lot of different
13 practice patterns when it comes to patch testing.
14 We need to be looking at practice sizes, practice
15 types. Where are they located? Again, that's
16 that urban metro area versus our more rural areas.
17 The types of physicians who are doing the patch
18 testing.

19 And then when you're delving into the
20 data, how is that being pulled? Is it based on
21 claims or is it based on other types of data? All
22 of this is going to be important in order to

1 ensure that when we're looking at our patch
2 testing recommendations, we're drawing on data
3 that's very much reflective of the U.S., which is
4 a blend of a lot of different backgrounds, a lot
5 of cultures. Next slide.

6 All right. So, again, those best
7 practices. We need to draw on what we currently
8 have, who is going to determine these, how they
9 can best guide patient care. We have our
10 established national societies, things like the
11 ACDS, we have the NACDG, we have large academic
12 centers and comprehensive patch testing centers
13 that are leading the charge on this. But we need
14 to know how we can incorporate all of this, again,
15 in order to ensure that we have high-level patch
16 testing. Next slide.

17 All right. So where else maybe have
18 some gaps we need to focus on? Let's click ahead
19 over here.

20 Again, let's focus on flexibility, which
21 is something that we've talked about quite a bit
22 during this session, but I think is important to

1 still touch on. Next slide.

2 All right. So when I talk about patch
3 testing flexibility, I think about both elasticity
4 and flexibility. What that means is that we have
5 an ability to respond to those changes in
6 prevalent allergens, response to new products
7 coming onto the market, and also a response to new
8 manufacturing techniques that are emerging in
9 order to create this product as well. And I think
10 this is quite important in particular for our
11 patients with occupational dermatitis, too. Next
12 slide.

13 All right. So I think that Dr. Atwater
14 really talked about this in detail in terms of the
15 allergen shift, so I'm not going to spend as much
16 time on this. But this looks at the NACDG 2021 to
17 2022 patch testing results. And I think this
18 table is really important because it focuses on
19 the percent positivities for that 2021 to 2022
20 cycle, but also compares it to previous cycles all
21 the way back down to 2011 to 2012.

22 Let's click ahead over here because

1 really what this is going to focus on is, for
2 instance, we have some allergens like nickel,
3 which are high and have stayed high over the past
4 couple years. So we can click once to show that
5 current positivity for the 2021 to 2022 cycle.
6 And then clicking again, showing that relative
7 comparison that this has stayed toward the top of
8 our allergens of concern. Next click over here.
9 It also shows the MI story, which, again, we've
10 talked about quite a bit over here. And you can
11 click ahead showing that it still stayed
12 relatively high over here, but if you look at
13 previous years, it has been higher, has been
14 lower. And you can see it wasn't even on our
15 radar testing alone in (inaudible) trial years.

16 And then clicking ahead, what else we
17 can focus on is what's right underneath it,
18 hydroperoxides of linalool. And you can see this
19 is pretty high over here. But clicking ahead, you
20 can see this wasn't something that we were even
21 testing before the 2017 to 2018 realm. So showing
22 that there are lots of changes here and we need to

1 make sure that we are responding to emerging
2 threats and concerns that are affecting our
3 patients. Next slide.

4 Like I said, there are lots of new
5 products coming on the market. I think that you
6 look at any online website, social media site,
7 print magazine, and you're going to see new
8 products coming to the market and those products
9 are going to contain new potential allergens;
10 also, not just the new, but also the improved as
11 well. So I always tell patients, they say, I've
12 used the same product for so many years, you know,
13 why all of a sudden might this be an issue? And I
14 say it may not just be, you know, that you have
15 new products coming in, but guess what? The
16 formulations of these products can change and they
17 may contain a new allergen for you. So these are
18 areas of tremendous concern. Next slide.

19 And like I mentioned, it's not just the
20 products themselves, but it's the manufacturing
21 processes and the practices associated with those,
22 because that can also lead to new areas of concern

1 that affect allergic contact dermatitis and patch
2 testing as well. And as an aside, I'll say that
3 one of the most impactful experiences I had when I
4 was just out of practice was seeing an epidemic of
5 MI in factory workers who were exposed to it not
6 via hand soap or a personal care product, but
7 actually as a biocide for the water that was used
8 to harden the plastic. So these allergens can be
9 seen in the manufacturing practices and can really
10 lead to epidemics of allergic contact dermatitis.
11 So ensuring that we have patch testing that
12 reflects this is so vital. Next slide.

13 And I also just want to highlight our
14 world map here to say that these products and
15 these manufacturing practices can often be quite
16 unique to the United States. So what works in
17 terms of allergen approval or patch test building
18 in other parts of the world may not be as
19 applicable to us. We know that, like I said,
20 there's unique patterns in terms of what we're
21 using in the United States compared to other
22 areas. So we need to be very sensitive to this

1 and to understand that this is an area we don't
2 want to miss as we're looking at patch testing.
3 Next slide.

4 So this ends with our last area of
5 possible gaps. So I'm going to click over here,
6 and this is going to be ensuring quality of life
7 for our patients. So next slide.

8 Because, again, when we're talking about
9 our patients, we're not talking about going from
10 having a high burden of disease to being clear,
11 but it's being able to sustain that clearance over
12 a long period of time. We want to make sure that
13 it's not just days or weeks, but months and years
14 that they're able to stay clear and to have high
15 quality of life. Next slide.

16 So what does that mean? It means that
17 we have to ensure high-quality educational
18 materials. And again, that's going to go back to
19 things like access and also education of our
20 physicians and clinicians on how they need to
21 approach patients with allergic contact
22 dermatitis, how to counsel with positive patch

1 testing. We need to make sure there's follow-up
2 availability.

3 So, again, going back to ensuring that
4 there's experts in both skin disease and patch
5 testing that can follow up on these patients after
6 their patch testing to ensure that they're not
7 having difficulties following their instructions,
8 that they're not missing anything. And also
9 ensuring that we have the ability to expand our
10 patch testing if we've done patch testing and
11 patients still have persistent skin disease that's
12 leading to concern that they have persistent
13 allergic contact dermatitis. Because, again, that
14 end goal is persistent improved quality of life
15 overall. So, next slide.

16 So I want to end over here. I want to
17 thank everyone for joining -- for going through
18 the snafus of my technological difficulties over
19 here. And I hope I've been able to frame our
20 discussion for gaps in patch testing as we move
21 into the next section in our Q&A. So thank you,
22 everyone.

1 DR. WARSHAW: Great. Thank you so much,
2 Alex, for that great overview and the
3 identification of really those important gaps in
4 patch testing.

5 What we're going to do now is move into
6 our wrap-up discussion Q&A session. For this
7 session, I'll ask if there are any presenters from
8 not only this session, but also the earlier
9 sessions who are still online, if you can be
10 available. We do have two panelists specifically
11 for this session. And I'm going to introduce them
12 now.

13 First, Jim Taylor. You want to give a
14 wave, Jim? Who is a clinical professor of
15 dermatology at the Cleveland Clinic as well as
16 Case Western. He's a graduate of the U.S. Capitol
17 Page School, Indiana University and its School of
18 Medicine, and he did two years as an occupational
19 dermatologist at the U.S. Public Health Service
20 with NIOSH. He has served six medical
21 organizations in an official capacity, including
22 vice president of both the American Academy of

1 Dermatology and American Dermatological
2 Association, and is also a past president of the
3 ACDS. He's currently an FDA special government
4 employee and a member of the North American
5 Contact Dermatitis Group, the Cosmetic Ingredient
6 Review Steering Committee, and an alternative
7 delegate to the AMA. Thank you, Jim, for joining
8 us.

9 Our second distinguished panel member is
10 Dr. Joe Fowler. Joe, if you want to give a wave.

11 Dr. Fowler has been a practicing
12 dermatologist for over 40 years. He joined the
13 North American Contact dermatitis group in 1988
14 and was president for 15 years of that group.
15 He's a founding member of the ACDS as well as a
16 past board member and president. He's patch
17 tested, I think this number is an underestimate,
18 but at least 20,000 patients over his career.
19 He's currently a clinical professor of dermatology
20 at the University of Louisville in Kentucky. And
21 is a co-editor and editor of the seminal text on
22 contact dermatitis, which is Fisher's Contact

1 Dermatitis.

2 So before we get to questions, I'm going
3 to open up the discussion to our panelists, Dr.
4 Fowler and Dr. Taylor, for your impressions or
5 thoughts on the meeting, the presentations either
6 this morning or this afternoon.

7 DR. TAYLOR: I'll go first. Are we
8 doing a break? That was in the program?

9 DR. KASLOW: I think we'll just move
10 ahead. I'm a little afraid (inaudible) --

11 DR. TAYLOR: That's good. Fine,
12 perfect. I mean, I'm in favor of that.

13 DR. KASLOW: -- so let's just keep
14 going.

15 DR. TAYLOR: Can you, can you hear me
16 all right? I just want to make a few comments and
17 then I -- first, I want to thank Drs. Kaslow,
18 Rabin, and Lu from the FDA, and also Sharon, I
19 apologize I missed your last name, very much.
20 Incredible organization. You guys are a breath of
21 fresh air in the conversations that we've had with
22 you previously and then during a meeting, and

1 especially during the time of the government
2 shutdown.

3 This last -- this Session 3 was
4 practical implementation through industry
5 perspective and so forth that you've just heard.
6 And Ron asked for a candid discussion. So I'm
7 going to start out with a candid discussion just
8 very briefly first and just comments on some of
9 the other things.

10 So we've talked about allergic contact
11 dermatitis, but also remember we're talking about
12 photoallergic contact dermatitis, mucosal
13 involvement, implant reaction, systemic contact
14 dermatitis, testing for drugs, which now are done
15 a lot by allergists and dermatologists through
16 patch testing. And then also I want to mention
17 something, I'm not sure it's been mentioned. Some
18 of the allergens that we test with are also both
19 type 1 and type 4. And you get it. We've seen
20 type 1 reaction especially from nickel.

21 The key with all of the gaps and other
22 things that have been mentioned is we need more

1 allergens that are approved by the FDA, period.
2 This is the major issue that I face and that many
3 of us face and we have 36 approved. And it's
4 critical I think for from a quality standpoint,
5 from a regulatory standpoint and even,
6 potentially, a medical-legal standpoint that we
7 have more allergens. This is absolutely critical.

8 I also want to mention the -- well, in
9 terms of we screen allergens, that's been
10 mentioned, but also we AIM test. And the AIM test
11 is with the supplemental allergens, but also with
12 products that patients bring in. And this, in my
13 estimation, is critical and has been critical in
14 the past for identifying new allergens. Fran
15 Storrs reported a new rubber antioxidant through
16 testing with the rubber product itself and then
17 actually going through a chemical analysis to
18 identify what it is. And as (inaudible) in NIOSH
19 and other agencies actually in academic centers
20 have been helpful in identifying new allergens.

21 There are some centers that are required
22 to use 503A compounding pharmacies, which we do,

1 also some government agencies, and clinics, and
2 they've been life-saving and critical to us,
3 especially the allergen bank pharmacy.

4 The other thing I just want to briefly
5 mention is the long history of the American
6 Academy of Dermatology and other groups involved
7 in trying to fix this problem dating back 40-plus
8 years through legislative action to try to change
9 it to (inaudible) --

10 DR. KASLOW: Yeah, Dr. Taylor, you've
11 frozen.

12 DR. TAYLOR: -- that failed by one vote
13 in the United States Senate about five years ago.
14 And we get (inaudible). So the -- I want to also
15 mention, since you guys organized this meeting, I
16 guess it went on because this was organized under
17 PDUFA.

18 DR. KASLOW: Okay. Excuse me, can we
19 just -- we need to back up --

20 DR. TAYLOR: I don't know what happened
21 to the --

22 DR. KASLOW: -- about two minutes. I'm

1 sorry, we need to back up about two minutes. At
2 least those of us here at the FDA, the
3 conversation froze and Dr. Taylor, you're frozen.
4 Now you're kind of moving a little bit.

5 DR. TAYLOR: Sorry.

6 DR. KASLOW: So if you could just recap
7 what you said because it sounded like they were
8 important points you wanted to make. We don't
9 want to miss it.

10 DR. TAYLOR: Maybe I better move to the
11 front of the house. Well, I just --

12 DR. KASLOW: Yeah, or you can turn your
13 video off, Dr. Taylor, and that might help. I
14 mean, we --

15 DR. TAYLOR: Okay. (Inaudible) my
16 thing.

17 DR. KASLOW: Yeah.

18 DR. TAYLOR: Apologies.

19 DR. KASLOW: It's all right.

20 DR. TAYLOR: I can hide me. Okay. Let
21 me go to the -- well, it's all right. I'll stay
22 here if it works.

1 Well, I wanted to -- well, I mentioned
2 the key issue is that we need more allergens. Am
3 I still frozen or is this working better?

4 DR. KASLOW: Yeah, a little bit better.
5 We hear you. We need new allergens. And you
6 mentioned -- we heard you when you were talking
7 about AIM testing and we heard that's -- it was
8 after that that we lost you.

9 DR. TAYLOR: I'll move closer to the
10 router. Maybe that would help. I don't know.
11 Sorry.

12 Well, the point was, was that we use --
13 can you hear me now any better?

14 DR. KASLOW: Yeah, we're good.

15 DR. TAYLOR: Well, we need more
16 allergens. And the bottom line is the -- I
17 listened to the proposals that have been made. I
18 listened to them at the European Society of
19 Contact Dermatitis meeting in Dresden last
20 September and that were repeated by Dr. Mahler
21 today. And I think the harmonization with the
22 system in Europe and Germany is critical and is

1 really important.

2 Just one comment related to that. Ron,
3 you asked about -- or you commented on the testing
4 subjects and I was involved with that. We were
5 asked to retest patients in triplicate that were
6 sensitized, and generally that worked. However, I
7 think we balked when we were asked to retest
8 patients that were PPD sensitive because we
9 thought that was a hazard to the patient
10 themselves because of the sensitivity of that.

11 DR. RABIN: Sure.

12 DR. TAYLOR: And then the -- so I guess
13 one could test patients without a history of
14 contact dermatitis. That might essentially
15 indicate or identify patients that were, you know,
16 with irritant reactions. But in that regard, you
17 know, many of the colleagues that I worked with
18 initially were doing predictive patch testing with
19 animal testing and HRIPT, most of which is now
20 gone by the by, especially in Europe, who does not
21 allow any animal testing. But that was able --
22 those people were able to identify newer allergens

1 and problematic allergens.

2 The one point I'm not sure it was heard
3 was testing with extemporaneous allergens. We
4 often test with patient products and it's
5 important to identify new allergens. I think
6 that's one of the ways that we've been able to do
7 it. Fran Storrs did this. I've tested products.
8 And then if we get a positive reaction to the
9 product, it's a leave-on product, then we can get
10 the ingredients and patch test with those. And in
11 some cases we've relied on help from the
12 government. So NIOSH has been helpful identifying
13 glove allergens, for instance, and the like.

14 So anyway, those are my comments. Thank
15 you.

16 DR. WARSHAW: Thank you, Jim. Joe, I
17 want to give you the opportunity to give any
18 general comments or your feedback.

19 DR. FOWLER: Well, yeah, I echo
20 everybody else's comment about how happy we are
21 all to be here and how happy we are the FDA is
22 looking at -- seeing what they can do about

1 expansion of patch test availability. It's
2 certainly long been needed and very welcome.

3 I wonder, Erin, if we should maybe first
4 go ahead and get some of these questions that are
5 in the chat room, perhaps, and then --

6 DR. WARSHAW: Sure.

7 DR. FOWLER: -- maybe come back and do
8 some summarization --

9 DR. WARSHAW: Sure.

10 DR. FOWLER: -- if we want to do that.

11 The first one, I think that has to do with this
12 session is, Dr. Hamann, how can one ensure an
13 individualized compounded topical allergen meets
14 quality control?

15 DR. WARSHAW: I believe Curt was having
16 some trouble getting on, so I'm not sure if he is
17 -- oh, there, great.

18 SPEAKER: He seems to be.

19 DR. HAMANN: I think I'm here.

20 DR. FOWLER: Yep.

21 DR. HAMANN: I think I'm here. You
22 know, I guess if I had known that that was the

1 question I was going to have, we should have our
2 pharmacist here because the pharmacist regulations
3 and what is compounded in that space is a
4 completely different regulatory umbrella than what
5 we were talking about here. I know that it's up
6 to their judgment and there's a whole different
7 nuanced approach to how that is done. So I don't
8 think I'm the right person to answer that. But
9 it's -- it is a priority.

10 It is -- I think they do benefit from
11 being able to compound things and it's overnighted
12 and used the next day or within a very short
13 period of time. But in general, it's something
14 that's kind of outside of my particular specific
15 regulatory understanding.

16 SPEAKER: There's a -- you're on mute,
17 Erin.

18 DR. WARSHAW: Okay. Just to clarify for
19 everybody that may not be very familiar, what
20 we're discussing is the allergen bank, which is
21 the program and the commercial availability of
22 individualized allergens, where it's sent to -- a

1 physician orders it by prescription to Curt's
2 company, that then the pharmacist makes it up for
3 that specific patient, mails it to the physician,
4 who then applies it in their office. So this is
5 not a common practice, but is available to
6 physicians that don't have the capability to keep
7 large amount of allergens on hand, but want to
8 occasionally patch test a patient.

9 DR. DeKOVEN: Well, I asked that
10 question, you know, coming from Canada, because it
11 seems to me a paradox that, again, there's
12 different regulatory requirements for different
13 products. But here we have very stringent
14 requirements from the FDA in terms of topical
15 allergens, of things that have been tested for
16 years. But, of course, there isn't going to be an
17 examination from regulatory authorities to ensure
18 that each individual compounded allergen is going
19 to meet the standards that it's supposed to meet.
20 Now, it's the same thing as, say, good
21 manufacturing practices. You have a qualified
22 compounding pharmacist who knows what they're

1 doing and mixes it up. But nevertheless, there's
2 no oversight of that. Yet there's very stringent
3 oversight for these other allergens.

4 DR. FOWLER: Thank you, Joel. I'm going
5 to move on to the next question, which is a
6 question for Dr. Mahler. I am not sure if she is
7 still on the line.

8 DR. MAHLER: I am, I am. I just tried
9 to switch on my camera. Yeah, yeah.

10 DR. WARSHAW: Okay, thank you.

11 DR. MAHLER: Yeah, yeah.

12 DR. WARSHAW: Thank you. So the
13 question is Italy's national regulatory authority,
14 AIFA, has established a structured framework for
15 patch test haptens, including GMP manufacturing
16 requirements and a temporary authorization system
17 to maintain clinical availability while full
18 licensure is pursued. From your knowledge and
19 experience, what lessons can be drawn from the
20 Italian model in balancing quality oversight with
21 timely patient access during the regulatory
22 registration process?

1 DR. MAHLER: Yeah, so thank you for the
2 question. So the Italian approach, which has been
3 mentioned here, is a national approach in line
4 with the two guidelines I mentioned earlier. And
5 also in Spain, there is a national process going
6 on in line with the two guidelines in also
7 Germany. And the guidelines provide a long
8 transitional period, actually of eight years, for
9 products which have been in the market without a
10 marketing authorization to transfer a product in a
11 quality-controlled authorized product. And for
12 this, at the end of this transitional period, a
13 marketing authorization has to be submitted in
14 Italy, in Germany, and Spain.

15 And so also in Germany we have still
16 five products, five patch test products under a
17 transitional period, which started earlier in
18 Germany. And so what the lessons learned is that
19 despite a very long transitional period, some
20 manufacturers are not submitting a marketing
21 authorization. So they are using the exemptions
22 as long as possible and provide the substance,

1 even with a sticker on it, "For laboratory use
2 only." Although it's very clearly a patch test
3 substance which is not used in laboratory use, but
4 on the humans, and try to use an exemption
5 loophole as long as possible to go around
6 marketing authorization requirements. So this is
7 the lesson we learned.

8 DR. WARSHAW: Thank you. Joe, you had a
9 question that you submitted. Maybe you want to go
10 ahead and ask that.

11 DR. FOWLER: Sure. Thank you, Erin. So
12 over the years, when I've looked at -- reading the
13 journals back then, Contact Dermatitis and
14 Dermatitis and still (inaudible), I guess it
15 seemed to me that a lot of times reports on
16 emerging allergens were much more likely to come
17 from our colleagues in Europe than from America.
18 And I wonder if that's due to the availability of
19 publication because in the Journal of Contact
20 Dermatitis it's easy to get a short report in
21 very, very quickly compared to maybe here it's a
22 little tougher and longer, whatever. Is it due to

1 that? Is it due to use differences in products or
2 maybe is it due to the fact that patch testing and
3 potential allergen availability has always been so
4 much easier over there? So I just wonder what any
5 of the presenters thought about that idea.

6 SPEAKER: Joe, I agree with what you
7 said. I might -- one of the things I've thought
8 about or pondered and I have no proof of this, but
9 in Europe, they practice medicine differently than
10 we do in the United States. We're dependent on
11 clinical practice to do our job. And many
12 physicians in Europe, especially those patch test
13 experts have a little bit more focus on research
14 theoretically. And so they have more time to --
15 and money to spend on projects where they might
16 isolate an allergen versus in the U.S. that's
17 quite difficult. If I want to isolate a potential
18 allergen, who do I ask? Where do I find the
19 funding to do that? That's -- I've thought about
20 that as one of the reasons as well. What do you
21 think?

22 DR. FOWLER: Yeah, I think (inaudible).

1 DR. DeKOVEN: Yeah, I would echo what,
2 you know, Amber said. And the Europeans tend to
3 be, for lack of a better word, sort of more
4 collaborative in terms of, you know, research,
5 (inaudible), you know, contact (inaudible)
6 research group throughout Europe. And then, of
7 course, you have the labs like Magnus Bruze's that
8 can do this and have an interest in doing this and
9 get some government support. They have a much
10 more -- I think, because of their socialized
11 medicine and the way occupational medicine is
12 funded in Europe, they also have a greater
13 approach to occupational diseases that picks up a
14 lot of these allergens as well.

15 DR. FOWLER: Thanks. That makes sense.

16 DR. WARSHAW: Amber, there's a question
17 here directed to you. Given that the global
18 supply of patch testing, topical allergen depends
19 on two manufacturers, what do you see as the
20 clinical and industry risks and what steps could
21 clinics and associations adopt to protect the cost
22 and product availability?

1 DR. ATWATER: Yeah. So from my
2 perspective and many of my colleagues, many of us
3 who do comprehensive high-volume patch testing
4 purchase allergens from both companies. And that
5 is not -- the reason why is because both companies
6 produce different allergens. There may be
7 specific allergens we need to buy from one company
8 versus the other. So if we were limited to one
9 company, we would potentially lose out on
10 availability to allergens to test our patients, to
11 help our patients, one.

12 Two, I think we all hate operating in a
13 monopoly. That would increase, theoretically
14 increase, cost to us and, therefore, our patients
15 in the U.S.

16 And how could clinic and associations
17 protect cost and product availability? What could
18 we do? I mean, I think I can speak for myself
19 when I say I would hate operating in a monopoly
20 and I would encourage us to continue to have be
21 able to source our allergens from more than one
22 company.

1 DR. WARSHAW: Couldn't agree more. This
2 is another question for Curt from John Elliott
3 (phonetic) in Canada. I'll summarize it here, but
4 it sounds like there was some discussion that it
5 costs approximately \$120,000 in the U.S. to
6 complete the testing required by PEI to get a new
7 batch of sesquiterpene lactone mix syringes
8 approved. And the question is, is that a typical
9 cost for each new batch of syringes and, if so,
10 what is the average cost per syringe and are these
11 costs sustainable at the current market prices?

12 DR. HAMANN: Thank you, Erin. I wasn't
13 a part of the specific conversation, but my
14 interpretation of what I'm hearing and seeing here
15 would be that this is not a fee for the batch.
16 This was the development cost over several years
17 of developing the -- and validating the analytical
18 method that is then used as the stability
19 indicating method for batch release. So once that
20 has been validated and it has been approved by
21 PEI, then that becomes a standard practice that no
22 longer has that recurring significant investment.

1 This would be an investment that's just what is
2 necessary to develop and deploy the method in a --
3 in an acceptable way for PEI. And I don't know
4 whether that number is super accurate, but it
5 wouldn't surprise me.

6 DR. WARSHAW: Thank goodness that's not
7 the cost per batch.

8 DR. HAMANN: Oh, it is definitely not.
9 And there are -- you know, many of these
10 allergens, the development of the method is quite
11 simple and not costly at all. Ironically, though,
12 those are the ones that were figured out first, so
13 they pushed all the difficult ones until I got on
14 board. So the last, you know, 10 years, we've
15 been working on all the ones that are really,
16 really complicated and those do tend to be more
17 expensive.

18 So it's -- you know, when you try to do
19 something for a composite mix, super complicated.
20 If you really want to have something that is a
21 release criteria for a batch that's going to
22 ensure that every time you buy it, it's going to

1 represent what you think it's supposed to each
2 time, the method's really complicated. Really,
3 really complicated. And, you know, that's why
4 it's impossible for the hydroperoxides of limonene
5 and linalool. There's so much stuff in there, I
6 don't even know what it is, don't even know where
7 to start. And that's why it gets complicated and
8 why the regulators are giving us pressure. They
9 want answers.

10 DR. WARSHAW: Seems like for these
11 botanical extracts that vary in composition and
12 are so complicated that there needs to be a
13 different set of guidelines than something like a
14 metal salt, which is relatively simple.

15 DR. HAMANN: Yeah, I think that they are
16 actually doing that. I mean, they could be coming
17 back to us and saying, what are these other 50
18 things that you've not identified that's in this
19 mix? That they have compromised on what they
20 would typically do for an API, and I'm grateful
21 for that. But they still want to know what are
22 the significant peaks that we believe are the

1 allergenic ingredients that are critical for this
2 to work? And they give us some grace at times
3 with some of the things that they otherwise, from
4 a regulatory point of view, don't have to.

5 SPEAKER: I think Dr. Mahler commented
6 on that earlier. I wonder if she has any other
7 comments.

8 DR. MAHLER: So I -- is my camera
9 working? Yeah. Yeah. So indeed we distinguish
10 between a metal salt and complex extract from
11 nature, actually. So -- but at least, as Curt
12 said earlier, it is important to have the relevant
13 components in there. Yeah. So this is the
14 minimum requirement. But so we are quite
15 compromising also from the batch-to-batch
16 consistency that there might be a small difference
17 between because it's a natural active substance
18 and there have to be some flexibilities.

19 DR. TAYLOR: So I'd like to move the
20 conversation a little bit towards -- well, perhaps
21 I need to recap, you know. First, you know, being
22 here at FDA, that what we hear, you know, we've

1 heard loud and clear that the American market
2 needs more patches. We've heard loud and clear
3 that, you know, you appreciate what the European
4 market, you know, has done to set -- to stop this
5 problem over there and, you know, whether or not
6 we could use that as a model here. And you know,
7 my answer off the cuff is we could use -- you
8 know, to some degree the answer is yes, to some
9 degree the answer is not so much. And because
10 there's some devil in the details because, you
11 know, we have our laws and regulations and they
12 have theirs, and that's going to require some
13 thinking on our part and some conversations
14 internally and with colleagues and, you know,
15 we're prepared to do that.

16 What I am wanting to hear are what --
17 first of all, I was hoping to hear from Curt a
18 little bit more because, you know, I see these
19 patches and I see, you know, even with TRUE Tests,
20 I see this is at this percentage and this is this
21 particular salt at this percentage. And I don't
22 get a sense of how you arrived there. And I'd

1 like, you know, how you arrived, you know, what
2 sort of tests that you did to ensure that you were
3 at a maximum concentration with a minimum amount
4 of irritation. Which to me is, you know, from
5 what I've been hearing, and I'm obviously the very
6 least experienced with this, you know, clinically,
7 I have no experience, but from what I've been
8 hearing is, you know, a first, you know,
9 requisite, if you will, of these patches to get
10 the best concentration you can get, to cause the
11 minimum amount of irritation. And then, you know,
12 so I kind of want to know that.

13 DR. HAMANN: So I can answer.

14 DR. TAYLOR: Yeah, so perhaps you could
15 just address that. And then I have a couple of
16 other questions and comments where I sort of need
17 the conversation to go for us.

18 DR. HAMANN: Yeah, that's good. The
19 phase 2 clinical trials that have been performed
20 for all 35 of the preparations that are approved
21 all did a dose response series.

22 DR. TAYLOR: Okay.

1 DR. HAMANN: And it had -- it either had
2 four doses at a log difference of each or five.

3 DR. TAYLOR: Okay.

4 DR. HAMANN: And it was that data that
5 was used for us to select which was the proper
6 dose to go forward into the phase 3 clinical
7 trial. So I believe that the disciplines for TRUE
8 Test were very, very significant.

9 And the way that we decided what was
10 going to be the middle dose that we would then
11 bracket with the log dose above and below for most
12 of the metals and many of these core allergens,
13 it's because there were dose response series done
14 in some of these centers of excellence that you've
15 already heard about. Magnus Bruze's group, for
16 all the metals, they have done dose response
17 series of all of them with different salts, with
18 different excipients. And, therefore, we have in
19 the literature a really, really good lead. So
20 that when we were going forward with our phase 2
21 clinical trial, we chose the salt 1 or 2 and that
22 dose based on what was already in the literature

1 for what had been learned with dose response
2 studies that had done before.

3 So I think that for the core allergens,
4 there's a lot of data, Ron, that is really, really
5 good.

6 DR. RABIN: Okay. But what about the
7 other 150 or 200 allergens that, you know, or 300
8 allergens that have just, you know, been licensed,
9 you know, authorized in Europe? Are we confident
10 of those?

11 DR. HAMANN: I think it's a great
12 question. And I think one of the things that's
13 ironic about this is if you look in the literature
14 and you see what's published, you'll see, well,
15 the Italians, they wanted it at 1 percent, and
16 then the Germans, they wanted it in 0.05 percent,
17 and then Magnus wanted it 5 percent. And so it's
18 almost like the literature's already got the dose
19 response series done with different groups that
20 have said, no, chemo technique or smart practice
21 make this different dose for us because we think
22 theirs is too low. And so there's a lot of data

1 --

2 DR. RABIN: Okay.

3 DR. HAMANN: -- even for these 200 that
4 you think there isn't much data. There's a lot of
5 data. And that's where I think then when you go
6 back and do the well-established use justification
7 with what PEI and IFA are doing, you bring all
8 that literature together. These guys were using
9 this percentage, they were using that percentage.
10 And that's where we then land on what we think we
11 should go forward with. And then if it's still
12 unclear, then I think we've said, then go back and
13 do some sort of a nested phase 2/phase 3 small
14 clinical trial. Just make sure we get it right.
15 I think there's a ton more data than you think.

16 DR. TAYLOR: Okay. Well, that's good.
17 And what to Dr. Belsito's comments. Now, I think
18 we heard from Jeff this morning that he wasn't
19 concerned about patch testing kids, you know,
20 neosensitizing them is I believe what I heard.
21 But that is a -- how much of that is a concern
22 amongst those of you who do this?

1 SPEAKER: I think --

2 DR. TAYLOR: Can there be too much?

3 SPEAKER: The FDA decided that that was
4 a concern and that's when they came back to us and
5 said, you need to do a clinical trial in children
6 for your licensed product and be PRIA compliant.
7 And so we went and did a children's study with the
8 existing TRUE Test 35 allergens. And there was --
9 there were no indications there was any problem,
10 even with PPD, which you heard from Jeff, might be
11 a problem. The PPD on TRUE Test --

12 SPEAKER: Might be a problem with
13 neosensitization is what you're saying.

14 SPEAKER: So clinically when we --

15 SPEAKER: Go ahead.

16 SPEAKER: Clinically, when we perform
17 patch testing, we express the risks to our
18 patients as we would for any procedure that we do
19 in the clinic. And one of the things that we
20 mentioned to our patient is that there's risk of
21 sensitization with patch testing in theory. And I
22 think most of us who do patch testing can tell you

1 that it does happen. It is rare. It is
2 exceedingly rare. And the way that we find out
3 about it is the patient comes back three, four,
4 five weeks later and has a new positive.

5 SPEAKER: Right.

6 SPEAKER: I can say I've seen it once or
7 twice over, however -- since 2008, however long
8 that is. So it's exceedingly rare. It can
9 happen, we know that. I think Don's point is that
10 also in the literature, just like there is our
11 reports of the recommended test concentration
12 dose, there's also reports of how much is too
13 much? Are we causing sensitization? What's the
14 irritancy potential? And there's tables on, so
15 many allergens in the literature. And, and that's
16 where Curt gets his numbers from, where to start?

17 SPEAKER: Okay. Okay. Thank you.

18 SPEAKER: So then the next question that
19 I have --

20 DR. DeKOVEN: That was my point. That
21 was my point, Ron. You said, you know, an
22 allergen at maximum concentration, and that is not

1 irritating, but sometimes that allergen could be
2 inducing sensitization. And so that's also a
3 critical factor in commercializing an allergen.

4 DR RABIN: Okay.

5 DR TAYLOR: Can I mention one other
6 thing?

7 DR RABIN: Please.

8 DR TAYLOR: Sorry. It's related to
9 this. The most pediatric patch test
10 recommendations suggest using plastic chambers
11 rather than aluminum chambers because of the
12 prevalence of aluminum allergy, especially in kids
13 that Jeff mentioned, o.

14 DR. RABIN: Okay. That's useful
15 information. Thank you. Okay. So then a next
16 question is, you know, with regard to, you know,
17 you've got, you know, you want 100 allergens or
18 150 allergens approved, you know, because you
19 really need this expanded list. And we've heard
20 it. We hear it. And this is a question for you,
21 Vera, as well. I mean, you know, we're looking at
22 these numbers, we're looking at these RIs and

1 these PR numbers, and we're not, you know, we're
2 not -- obviously, we don't deal with it in real
3 time, you know, so we just look at these numbers.

4 And for some time, for some of these
5 allergens, when I look at these numbers, I feel
6 like I'm in a, you know, a high school class where
7 everybody -- where like half the class is getting
8 a D, so a B minus is an A. And, you know, we're
9 all happy with that. And that, you know, in a
10 sense that while Europe -- you know, while you and
11 PEI did a great service to the specialty and to
12 the patients by, you know, granting authorization
13 to a large list of allergens are, you know, are
14 you where you want to be with quality of some of
15 these patches in such a way that if we approach
16 it, you know, based on your model, do we have an
17 opportunity to say, you know, there's a particular
18 set here that we ought to get, that we could make
19 better? Or even there's a particular set here
20 where the numbers are really so not good that
21 something isn't better than nothing, right?
22 Right. You know, because I'm looking at these

1 numbers and that's what I'm thinking.

2 And I want to hear what you think about
3 that, Vera, and I want to hear what the expert
4 clinicians think about that.

5 DR. MAHLER: So thank you for this
6 really, really relevant question. So -- but it is
7 a fact that -- so the active substances are
8 chemicals. And besides their allergenic
9 properties and capacities, they have less or more
10 all also some irritancy that is just a matter of
11 fact for these chemicals. And therefore, we have
12 to live with the fact that there is some
13 irritancy.

14 Of course, a patch test allergen with a
15 reaction index of minus one, there we really have
16 to ask the question, is it an allergen or is it
17 just an irritant? However, this is due to the
18 specific properties of these haptens. They are
19 also irritants. And therefore, we would not
20 reject an marketing authorization application
21 because of these bothersome numbers. But we think
22 it is important to make it clear in the SMPC that

1 this is not an ideal patch test reaction and not
2 every reaction you see is an allergic reaction.
3 But there is irritancy to be expected with this
4 specific patch test substance. However, these
5 substances reflect also the exposure in the
6 environment.

7 A rubber accelerator, for example, we
8 heard of one of the testimonials of the
9 diphenylguanidine. And so this has a higher
10 irritancy also in reality, in the true exposure.
11 And so I think this is something we have to live
12 with it. And even if we manage somehow, through a
13 manufacturing process to get these much better,
14 they would in some cases maybe not reflect anymore
15 the true exposure in the environment.

16 But of course, I agree with Curt. It's
17 feasible to do some dilution testing to see what
18 is the best constellation between the two
19 positives and irritancy. So that is feasible, but
20 we won't get away from some irritancy in these
21 group of actives substances.

22 DR. RABIN: Sure. Okay.

1 DR. FOWLER: Ron, I hate to complicate
2 your life here more, but one thing to remember is
3 when these patch tests are being called irritant
4 or positive, that's a subjective observation by,
5 you know, some local expert, hopefully an expert.
6 And so maybe some of those that were called
7 irritancy were really positive. And, you know,
8 you have to go back and talk about relevance and
9 finding out all that stuff that we have to do
10 clinically, whether that reaction turned out to be
11 relevant. So --

12 DR. RABIN: Yes.

13 SPEAKER: -- you know, that number
14 alone, you know, doesn't really necessarily hold a
15 whole ton of water. I mean, it's okay, but it's
16 not perfect.

17 DR. RABIN: Okay.

18 DR. FLAMM: Yeah. From that standpoint,
19 I think. What's really important to understand
20 when we're looking at this, at least from our --
21 from a clinical standpoint, it's that we're
22 looking at the pooled data. So really what's

1 important is that we've all seen relevant
2 reactions to these allergens and it's made a
3 significant difference for these patients and it's
4 important for us to have them. But like you said,
5 it does go into the area of education. If this is
6 something that has a higher irritancy rate, it
7 means that we need to make sure that we have patch
8 test experts who are skilled in understanding the
9 difference and understanding which patch test
10 allergens have a higher likelihood of causing
11 irritancy and being able to have appropriate
12 clinical suspicion around it.

13 DR. RABIN: Okay.

14 SPEAKER: Yeah.

15 DR. DeKOVEN: And I would just like to
16 say --

17 DR. RABIN: Yeah, (inaudible).

18 DR. DeKOVEN: You know, cost-benefit,
19 you know --

20 SPEAKER: (Inaudible) patients give him
21 a (inaudible).

22 SPEAKER: Yeah. I'm just building off

1 of what Alex said. I think perfect might be the
2 enemy of the good here. And I would contest that.
3 You know, and I really applaud, you know, the
4 amazing diligent work that Curt and his team do to
5 really try to get it right. But I think, you
6 know, if, you know, getting in the right range is
7 okay, and I think a lot of the patients, you know,
8 whether it's a two fold dilution or a four-fold
9 dilution, you're probably -- you know, using the
10 literature and using the knowledge of related
11 compounds, I don't necessarily think for most of
12 these it has to be perfect.

13 And you know, there's -- it's not all
14 about the allergen. Right? I mean, there's so
15 much variability. It does come down to education,
16 it does come down to when you read the patch.
17 Right? So if you read it at 48 hours, you're
18 going to miss; 72, you're going to capture more;
19 96, you're going to capture more.

20 SPEAKER: Yes.

21 SPEAKER: The other analogy I want to
22 make is if you, you know, you think about topical

1 medications and drugs, right? They're approved.
2 They go through testing. We have no idea how
3 patients are putting these things on their skin.
4 Some could be putting a thick coating on, some
5 could be cutting a thin coating. Sometimes they
6 occlude it. The same variability exists in the
7 patch testing world. I mean, you know, we try to
8 put the same ribbon on, but that's not
9 standardized. So I really caution us about
10 perfect is the enemy of the good.

11 DR. RABIN: All right. Message was
12 received. Okay.

13 SPEAKER: And Ron, I want to comment on
14 one other thing you said earlier, and you're right
15 about it's -- something is not always better than
16 nothing. But I would say it almost always is.
17 Because let's -- so let's say we have a positive
18 --

19 SPEAKER: Okay. All right.

20 SPEAKER: -- reaction to something --

21 SPEAKER: Getting a lot of pushback on
22 that, I hear it. Okay.

1 SPEAKER: So let's say we have a
2 positive reaction that we call positive. We tell
3 the patient they're allergic to X, Y, and Z, and
4 the patient goes out and avoids X, Y, and Z and
5 doesn't get better. Or the patient is getting
6 better and then goes out and exposes him or
7 herself to X, Y, and Z and doesn't have a problem.
8 Okay. Then, you know, we haven't really
9 permanently caused any harm to that patient. We
10 just kind of made it inconvenient for them for a
11 while.

12 And let's say we told him it was
13 negative, the test was negative, which is probably
14 worse, I think. But then that patient still keeps
15 having problems with it. Well, they're probably
16 going to come back to somebody and, hopefully,
17 maybe the next time we patch test them, for some
18 reason this bioassay turns positive. So, again,
19 it's not like we have permanently -- you know,
20 it's not like we caused to cause them to have
21 leukemia, you know, or something from what we did
22 show them.

1 SPEAKER: Yeah, no, I -- I mean, a false
2 positive, somebody could, you know, leave their
3 job, you know, by -- but I'm not as worried about
4 the false positives as I am, I guess, the false
5 negatives, some of these really low numbers that
6 we saw.

7 SPEAKER: Yeah.

8 SPEAKER: But, you know, I hear the
9 point. This isn't a CBC. You're clinicians,
10 you're, you know, you're interpreting data, you
11 know, in the context of patient and history and a
12 of -- lot of other things. This is an
13 (inaudible), so, I get that. I hear that.

14 RABIN: Be very interesting, I'm just
15 thinking this is totally applicable. It would be
16 very fascinating to see whether or not you could
17 train AI to give you consistency in patch test
18 reading that maybe humans couldn't do. It'd be
19 kind of cool. Curt wants nothing to do with that.

20 There are people actually into that
21 right now.

22 SPEAKER: I'm sure somebody's thought of

1 that. I'm sure somebody's thought of that.

2 That's a digression.

3 SPEAKER: You mentioned about the
4 possibility of false positives. If you're only
5 patch testing to 36 allergens, you have a much
6 greater chance of having, you know, false
7 negatives. Right?

8 SPEAKER: Missing. Missing diagnosis.
9 We get there. Believe me, we have heard that
10 message. We got it.

11 DR. WARSHAW: I think I just want to
12 clarify a point because we've been throwing around
13 the term "irritant reactions," "irritant patch
14 test reactions." And just for the non-clinicians
15 in the room, non-patch testers, that does not mean
16 it's an asymptomatic reaction to the patient.
17 They're totally unaware. It's just we're seeing
18 macular erythema on their back. It's not a side
19 effect of the patch testing. So just want to
20 clarify that, that it's just macular erythema.
21 It's not asymptomatic.

22 I think of it, not to beat a dead horse,

1 but I almost think of this as analogous to, you
2 know, ANA testing. You know, we get a lot of
3 positive. But it takes a clinician to explain to
4 the patient that, you know, these borderline
5 titers are not often clinically relevant. And we
6 do the same with these borderline patch tests that
7 we really want to see those strong vesicular
8 reactions, you know, the high titer, if that's --

9 SPEAKER: Okay. I'm just asking my
10 colleagues in the room. Yeah. There -- I think
11 that we're -- obviously, if you wish to -- you
12 know, if there are other things that you wish to
13 share with us, we're all ears. But I -- our sort
14 of questions have been answered. So it sounds --

15 DR. WARSHAW: Yeah. I was just going to
16 open it up to the group. Does anyone have any
17 last comments?

18 SPEAKER: Just a question for Ron
19 regarding PDUFA. The -- you had the open meeting
20 on -- I think it was in June. Has there -- a
21 formula been --

22 RABIN: So that's above our pay grade.

1 Okay. We don't make those decisions. We've been
2 sort of in the other room hearing them and, you
3 know, people -- you know, we've been part of the
4 discussion at a lower level, but I don't, you know
5 -- and, you know, I have some impressions about,
6 you know, where things are going to go, but it's
7 not really appropriate for me to share with you my
8 envelope thoughts about that. That's done at the
9 commissioner's level, at (inaudible) level. We --
10 I think we've certainly heard from you that, you
11 know, you agree that these products should be
12 exempt, you know, should be PDUFA exempt. And if
13 we're given the opportunity to share that with the
14 people who make these decisions, we will share
15 that with people who make these decisions.

16 SPEAKER: Thanks.

17 SPEAKER: I have one additional
18 question, Ron. I was just -- and you may actually
19 be going into this next, but what do you see as
20 your next steps here and how can we help provide,
21 you know, good (inaudible).

22 DR. RABIN: Sure. So here's how, you

1 know, we see things going. Okay. I mean, the
2 first thing that we need to do, which it sounds
3 rather bureaucratic, but it's actually important.
4 It's sort of, personally, it's part of my process
5 is that, you know, we need to go over the
6 transcripts and the recordings and put together,
7 you know, a proceedings document that will be
8 published. And obviously, I will need your help
9 with that. You, the speakers who have been here,
10 you know, to proofread, make sure that what I
11 wrote is correct and complete. And that's
12 important for us because basically that helps me
13 really think through the process and pay attention
14 to details that, you know, remember from earlier
15 this morning or may have just slipped by me.

16 And I think that once we have that
17 document that we internally are comfortable with,
18 you know, we can take that document and we can
19 say, okay, now, you know, we comprehensively
20 understand the situation. What do we want to do
21 with it? And I think that what we agreed in here
22 is what we want to do with it is we'll probably

1 initiate some conversations with our European
2 colleagues to talk to them about their model. And
3 we will, also, of course, initiate some
4 conversations with -- I mean, Dr. Kaslow is upper
5 management, but even, you know, further up to
6 understand what our latitude is towards, you know,
7 towards applying some sort of European model.

8 For example, we don't have a
9 well-established use program here. You know, that
10 is inked into the, you know, the European, the
11 EMA, but we don't have that here. And, you know,
12 what does that mean? Does it mean that there's
13 another way that we can do things or not? You
14 know, we're not sure. And even if we had an idea
15 today, it's not something I would share (phonetic)
16 off the cuff.

17 I think that sometimes then what, you
18 know, eventually is we make a decision. You know,
19 there are various ways we communicate the
20 decision, you know, through a Federal Register
21 Notice, you know, through a guidance document,
22 through some sort of an official document that

1 ensures that we're communicating it to all the
2 stakeholders at once and not favoring one
3 stakeholder over the other.

4 I think that I can say with confidence
5 that this wasn't just a talking session. We had
6 this workshop because we understood that it was
7 time to take some action, that it was time to do
8 something, and we wanted and we needed to hear
9 from the experts about what to do.

10 I guess I'm sort of going in my closing
11 remarks here as well. So first of all, did that
12 answer your question? Are you -- does that --
13 everybody's kind of nodding.

14 SPEAKER: That's very helpful. Just to,
15 like you said, this is a new territory for all of
16 us. So we want to make sure we're being --

17 DR. RABIN: Sure.

18 SPEAKER: -- (inaudible) through this
19 process.

20 DR. RABIN: And I'm going to promise you
21 that it's not -- that no matter how fast we do it,
22 it's not going to be as fast as you hope it is,

1 because that's just life, life in a government
2 agency. Because the more, you know, the more
3 you're changing policy, the more people's -- the
4 more eyes are going to be on the document. Okay.

5 I do want to say a few things. First of
6 all, I do want to thank Dr. Mahler for staying up
7 so late and I want to thank Dr. Chen and anyone
8 else on the West Coast for getting up so early. I
9 really appreciate all that everybody has done.

10 There's one person that wasn't
11 recognized early on and acknowledged, and that is
12 my colleague and my boss for over 21 years, Jay
13 Slater, who put this thing together really
14 initially, and then it got called with the change
15 administrations and other things. But, you know,
16 really, it -- I did the easy part. He found you
17 all, he had the initial conversations, and it was
18 kind of easy to put together. And I really
19 appreciate that.

20 And I also, again, want to thank Lonnie
21 and Stacey and people who have really helped us
22 put this together because, you know, a few

1 technical glitches here and there, but I think
2 we've all -- it's worked out fairly well.

3 So that's all I have to say today. And
4 if anyone else has any other comments, I think we
5 can call it a day. And we'll call it a day.
6 Thank you all very much.

7 GROUP: Thank you.

8 (Whereupon, at 3:56 p.m., the
9 PROCEEDINGS were adjourned.)

10 * * * * *

11

12

13

14

15

16

17

18

19

20

21

22

1 CERTIFICATE OF NOTARY PUBLIC

2 DISTRICT OF COLUMBIA

3 I, Gary Euell, notary public in and for
4 the District of Columbia, do hereby certify that
5 the forgoing PROCEEDING was duly recorded and
6 thereafter reduced to print under my direction;
7 that the witnesses were sworn to tell the truth
8 under penalty of perjury; that said transcript is a
9 true record of the testimony given by witnesses;
10 that I am neither counsel for, related to, nor
11 employed by any of the parties to the action in
12 which this proceeding was called; and, furthermore,
13 that I am not a relative or employee of any
14 attorney or counsel employed by the parties hereto,
15 nor financially or otherwise interested in the
16 outcome of this action.

17

18

19 (Signature and Seal on File)

20 -----

21 Notary Public, in and for the District of Columbia

22

