

UNITED STATES OF AMERICA  
FOOD AND DRUG ADMINISTRATION

+++

Office of the Commissioner

+++

PEDIATRIC ADVISORY COMMITTEE (PAC)

+++

November 13, 2025

10:00 a.m. EST

Via Web Conference

Transcript Produced By:



ACSI Translations

1025 Connecticut Avenue, NW, Suite 1000, Washington, DC 20036

<https://acsitranslations.com/>

## Participants

Designated Federal Officer	Shivana Srivastava, RN, MS, PMP
Pediatric Advisory Committee Member, Chairperson	Gwenyth Fischer, MD
Pediatric Advisory Committee Member	Susan S. Baker, MD, PhD
Pediatric Advisory Committee Member	David Callahan, MD
Pediatric Advisory Committee Member	Douglas Diekema, MD, MPH
Pediatric Advisory Committee Member	Charleta Guillory, MD, MPH
Pediatric Advisory Committee Member	Steven Krug, MD
Pediatric Advisory Committee Member, Patient-Family Representative	Gianna McMillan, DBe, MFA
Pediatric Advisory Committee Member (Non-Voting), Industry Representative	Robert Nelson, MD, PhD
Pediatric Advisory Committee Temporary Voting Member	Premchand Anne, MD, MBA, MPH
Pediatric Advisory Committee Temporary Voting Member	Randall Flick, MD, MPH
Pediatric Advisory Committee Temporary Voting Member	K. Sarah Hoehn, MD, MBe
Pediatric Advisory Committee Temporary Voting Member	Liza-Marie Johnson, MD, MPH, MSB
Pediatric Advisory Committee Temporary Voting Member	Sandra Juul, MD, PhD
Pediatric Advisory Committee Temporary Voting Member	Jennifer Lee-Summers, MD
Pediatric Advisory Committee Temporary Voting Member	Wael Sayej, MD, MBA
FDA Participant	Prabha Viswanathan, MD, FAAP
FDA Participant	Mohamed Mohamoud, PharmD, MPH
FDA Participant	George Van Hare, MD
FDA Participant	Craig Zinderman, MD, MPH
FDA Participant	Ivone Kim, MD
FDA Participant	Anam Tariq, DO, MHS, FASN

**Table of contents**

Call to Order .....	3
Introduction of the Committee .....	4
Conflict of Interest Statement.....	7
FDA Opening Remarks .....	10
FDA Presentation: Ensuring Safe and Effective Therapies for Children: FDA's Pediatric Safety Monitoring Framework .....	15
Q&A .....	25
PAC Committee Discussion on Non-Voting Question.....	32
Open Public Hearing.....	51
Listing of products evaluated in the pediatric-focused postmarket safety reviews completed by the Center for Devices and Radiological Health (CDRH).....	55
Clarifying Questions .....	55
Committee Discussion and Vote .....	56
Listing of products evaluated in the pediatric-focused postmarket safety reviews completed by the Center for Biologics Evaluation and Research (CBER).....	59
Clarifying Questions .....	59
Committee Discussion and Vote .....	64
Listing of products evaluated in the pediatric-focused postmarket safety reviews completed by the Center for Drug Evaluation and Research (CDER).....	66
Clarifying Questions .....	67
Committee Discussion and Vote .....	70
Closing Remarks and Adjournment .....	76

1

*Call to Order*

2 Dr. Fischer: Alright, everyone. Good morning and welcome to today's meeting of the Pediatric  
3 Advisory Committee. I'd like to remind everyone to start to please mute yourselves in the Zoom platform  
4 and also on any telephone lines you're on when you are not speaking. For the media and press, please  
5 contact the HHS Press Room. Their website is [www.hhs.gov/press-room/index.html](http://www.hhs.gov/press-room/index.html) and probably more  
6 easily, the telephone number is (202) 690-6343. For members of the Open Public Hearing, industry and  
7 press, please sign in by sending an email to [PAC@fda.hhs.gov](mailto:PAC@fda.hhs.gov), and please direct all technical inquiries  
8 you may have to the AV Support Team at [virtual-WOCC-Support@fda.hhs.gov](mailto:virtual-WOCC-Support@fda.hhs.gov). The slide you're seeing  
9 now displays the icon accessible for closed captioning today. Okay, next slide, please.

10 And good morning again, everyone. My name is Gwenyth Fischer. I will be chairing today's  
11 virtual meeting. I'm now going to call today's meeting of the Pediatric Advisory Committee to order.

12 The FDA has convened today's meeting to discuss the postmarketing pediatric-focused safety  
13 reviews that FDA has completed for several products across the three medical product centers: the Center  
14 for Drug Evaluation and Research, also known as CDER; the Center for Biologics Evaluation and  
15 Research, also known as CBER; and the Center for Devices and Radiological Health, known as CDRH.  
16 FDA's review of adverse event reports for the products under discussion today did not identify any new  
17 pediatric safety concerns. Therefore, no product-specific presentations will be made by FDA or by  
18 industry today. PAC members received the FDA's review documents in advance of today's meeting to  
19 become familiar with the adverse events that were reported for these products and FDA's assessment of  
20 these events. The PAC will have the opportunity to ask the Agency clarifying questions during today's  
21 meeting. Following a Question-Answer session and Committee discussion, the PAC will then convey  
22 their recommendations for safety monitoring of these products via a vote.

23 I would like to remind the Committee that the scope of today's discussion will be limited to  
24 postmarketing safety and surveillance activities as reflected in the Agency's review documents. All other

1 matters pertaining to the use of the products under discussion, such as general development questions, are  
2 outside of the scope of today's meeting. Our goal is that today's meeting will be a fair and open forum for  
3 discussion of the plan topic, ensuring individuals can express their views without interruption. With that  
4 said, if the discussion veers towards topics beyond the stated scope of the meeting, I may, as Chairperson,  
5 refocus the discussion as needed.

6 As a reminder, individuals will be allowed to speak into the record only if recognized by myself,  
7 and we look forward to a very productive meeting today. In the spirit of the Federal Advisory Committee  
8 Act and the government in the Sunshine Act, we ask that the Advisory Committee members take care that  
9 their conversations about the topic at hand take place only in the open forum of the meeting. We are  
10 aware that members of the media may be anxious to speak with the FDA about these proceedings.  
11 However, the FDA will refrain from discussing the details of this meeting until after its conclusion. Also,  
12 the Committee is reminded to please refrain from discussing the meeting topic during breaks or lunch.

13 On behalf of the FDA, I thank all of you Committee members for your participation.

14 *Introduction of the Committee*

15 Dr. Fischer: Alright, we're going to start by going over the meeting roster here today as seen in the  
16 slide. I will ask all members of the Committee to turn on their cameras now and keep them on for the  
17 duration of roll call. When I call your name, please briefly introduce yourself with your primary area of  
18 expertise, your institutional affiliation, and your role on this Panel.

19 I'll begin by introducing myself. My name is Gwenyth Fischer. I'm a Pediatric Critical Care  
20 Physician at the University of Minnesota. I'm an Associate Professor of Pediatric Critical Care. I also run  
21 the Pediatric Device Innovation Consortium at the University of Minnesota and I'm the Associate Chair  
22 for Research at the University of Minnesota. I'm also the Chair of this Committee. Next, we have  
23 Premchand Anne.

1 Dr. Premchand: Yeah, hi there. I'm Dr. Premchand Anne. I am a Pediatric Cardiologist and the Director of  
2 Pediatric Cardiology at Henry Ford St. John Children's Hospital in Detroit, Michigan. I'm a Temporary  
3 Member of the Pediatric Advisory Committee for this meeting.

4 Dr. Fischer: Thanks for joining us. Susan Baker.

5 Dr. Baker: Hi, I am Susan Baker. I'm a Tenured Professor at the University at Buffalo in the  
6 Department of Pediatrics. I'm a Pediatric Gastroenterologist and I'm a Member of the PAC.

7 Dr. Fischer: Thanks, Dr. Baker. David Callahan.

8 Dr. Callahan: Good morning. I'm David Callahan. I'm a Pediatric Neurologist, Professor of Neurology  
9 and Pediatrics at Washington University in St. Louis and St. Louis Children's Hospital.

10 Dr. Fischer: Thanks. Douglas Diekema.

11 Dr. Diekema: Good morning. I'm Doug Diekema. I am a Professor of Pediatrics at the University of  
12 Washington and a Pediatric Emergency Medicine Physician at Seattle Children's Hospital, where I also  
13 direct the Education at the Center for Pediatric Bioethics and I'm a Member of the PAC.

14 Dr. Fischer: Randall Flick.

15 Dr. Flick: Hi. Randy Flick. I'm a Professor of Anesthesiology and Pediatrics at Mayo Clinic,  
16 Pediatric Intensivist, Anesthesiologist, and I guess I'm an inactive Member of the PAC.

17 Dr. Fischer: Thanks, Dr. Flick. Charleta Guillory.

18 Dr. Guillory: My name is Charleta Guillory and I'm a Tenured Professor of Pediatrics at Baylor  
19 College of Medicine. I also direct the Neonatal-Perinatal Public Health Program at Texas Children's  
20 Hospital. I serve as a Member on this Committee.

21 Dr. Fischer: Thank you. Sarah Hoehn.

22 Dr. Hoehn: Hi, I am Sarah Hoehn. I do Pediatric Critical Care, Pediatric Hospice and Palliative  
23 Medicine, and a little bit of Gen Peds thrown in. I am affiliated with the University of Chicago Comer

1 Children's Hospital and then I'm also Chief Medical Officer at La Rabida Children's Hospital and I am a  
2 former member, now currently, I believe, a Temporary Voting Member of the PAC for today.

3 Dr. Fischer: Thanks for joining us. Liza-Marie Johnson.

4 Dr. Johnson: Good morning. [Indiscernible - 00:16:51] Johnson. I am an Associate Member in the  
5 Department of Oncology at St. Jude Children's Research Hospital and Director of our Bioethics Program.  
6 I am a Temporary Voting Member. Good morning.

7 Dr. Fischer: Good morning. Sandra Juul.

8 Dr. Juul: Sandra Juul. I'm a Professor of Pediatrics and Neonatology at the University of  
9 Washington. I'm also the Director of the Institute on Human Development and Disability at the University  
10 of Washington. And I believe I'm a Temporary Member of the PAC, but I'm not sure if I'm temporary or  
11 permanent. It's my first meeting.

12 Dr. Fischer: Welcome. Thank you. Steven Krug.

13 Dr. Krug: Hi, good morning. My name is Steven Krug. I'm a Pediatric Emergency Physician. I'm  
14 based at Lurie Children's Hospital in Chicago. I'm a Professor of Pediatrics at the Northwestern Feinberg  
15 School of Medicine and I'm a Voting Member of the PAC.

16 Dr. Fischer: Thank you. Jennifer Lee-Summers.

17 Dr. Lee-Summers: Hi, everyone. I'm Jenny Lee. I'm a Professor of Pediatric Anesthesiology at Johns  
18 Hopkins and I am a Temporary Member.

19 Dr. Fischer: Great. Gianna McMillan.

20 Dr. McMillan: Hi, I'm Gigi McMillan. I'm a PAC Member, Bioethicist with background in Family  
21 Support Programs in pediatric cancer. I've retired from teaching undergraduate and graduate Research  
22 Ethics and right now I'm the Chair of the Board of Directors for PRIM&R, Public Responsibility in  
23 Medicine & Research.

24 Dr. Fischer: Thank you. Robert Nelson.

1 Dr. Nelson Hi, good morning, Dr. Robert Nelson. I'm trained in Neonatology and Pediatric Critical  
2 Care, and I'm currently Executive Director of Pediatric Drug Development at Johnson & Johnson and I'm  
3 the Industry Representative to the PAC.

4 Dr. Fischer: Thank you. And Wael Sayej.

5 Dr. Sayej: Good morning, everyone. My name is Wael Sayej. I'm the Chief of Pediatric  
6 Gastroenterology at Baystate Children's Hospital and Subspecialty Center. I'm an Associate Professor at  
7 UMass Chan Medical School and I am a Pediatric Gastroenterologist. I'm serving today as a Temporary  
8 Member of the PAC.

9 Dr. Fischer: Great. Thank you all of you for joining us today and taking your time for these important  
10 topics. I'm now going to pass the meeting on to Shivana Srivastava to announce FDA representatives for  
11 today's meeting.

12 Ms. Srivastava: Hello, my name is Shivana Srivastava and I'm the Designated Federal Officer for today's  
13 meeting of the Pediatric Advisory Committee. Today's FDA speakers include doctors Prabha  
14 Viswanathan, Mohamed Mohamoud from the Office of Pediatric Therapeutics, Dr. George Van Hare  
15 from CDRH, Dr. Craig Zinderman from CBER, and Dr. Ivone Kim from CDER. They will briefly  
16 introduce themselves when they address the Committee. Additional FDA participants and representatives  
17 will introduce themselves when speaking throughout the meeting. I will now read the Conflict of Interest  
18 Statement.

19 *Conflict of Interest Statement*

20 Ms. Srivastava: The Food and Drug Administration is convening today, November 13th, 2025 for a  
21 meeting of the Pediatric Advisory Committee under the authority of the Best Pharmaceuticals for  
22 Children Act of 2002, the Pediatric Research Equity Act of 2003, the Food and Drug Administration  
23 Amendments Act of 2007, the Food and Drug Administration Safety and Innovation Act of 2012 and the

1 Federal Advisory Committee Act of 1972. This meeting is a particular matter involving specific parties  
2 for which the Committee will discuss postmarketing safety events reported for these products.

3 With the exception of the Industry Representative, all Standing Members of the Committee are  
4 Special Government Employees and are subject to federal conflict of interest laws and regulations. The  
5 following information on the status of this Committee's compliance with federal ethics and conflict of  
6 interest laws covered by, but not limited to, those found at 18 U.S.C., Section 208, is being provided to  
7 participants at this meeting and to the public.

8 Related to the discussions of today's meeting, Standing Members and Temporary Voting  
9 Members of the Committee have been screened for potential financial conflicts of interest of their own as  
10 well as those imputed to them, including those of their spouses or minor children and, for the purposes of  
11 18 U.S.C., Section 208, their employers. These interests may include investments, consulting, expert  
12 witness testimony, contracts, grants, CRADAs, teaching, speaking, writing, patents and royalties, and  
13 primary employment. These may include interests that are current or under negotiation. No Regular  
14 Government Employees were added to the Committee for this meeting. Therefore, the conflicts of interest  
15 screening was limited to Standing Members and Temporary Voting Members of the PAC. FDA has  
16 determined that the members of this Committee are in compliance with federal ethics and conflict of  
17 interest laws.

18 Under 18 U.S.C., Section 208, Congress has authorized FDA to grant waivers to Special  
19 Government Employees and Regular Government Employees who have potential financial conflicts when  
20 it is determined that the Agency's need for a particular individual services outweighs his or her potential  
21 financial conflict of interest, or when the interest of a Regular Government Employee is not so substantial  
22 as to be deemed likely to affect the integrity of the services which the government may expect from the  
23 employee. Based on the agenda for today's session and all financial interests reported by the Committee  
24 members, no conflict of interest waivers have been issued for this meeting.

1       With respect to the meeting's Patient Representative, we would like to disclose that Dr. Gianna  
2       McMillan is participating as a Voting Representative, acting on behalf of patients, not on behalf of any  
3       organization, company or product. The Patient Representative is a Special Government Employee and, as  
4       such, has been screened for conflicts of interest. With respect to FDA's invited Industry Representative,  
5       we would like to disclose that Dr. Robert Nelson is participating in this meeting as a Non-Voting  
6       Representative acting on behalf of regulated industry. This representative is not a Regular or Special  
7       Government Employee and has not been screened for conflicts of interest. Dr. Nelson's role at this  
8       meeting is to represent industry in general and not any company. Dr. Nelson is employed by a firm that  
9       has products that are coming before the Committee. In accordance with our regulations at 21 CFR  
10      14.86(c)(4), Dr. Nelson has been reminded that an Industry Representative may be present at a meeting  
11      even if a product sponsored by his employer or its subsidiary is coming before the Committee. However,  
12      his role as an Industry Representative is to represent all of industry and not any specific firm or product.

13       Consistent with Commissioner Makary's, April 17th, 2025 statement, FDA's only including  
14      Industry Representatives in Advisory Committee meetings where required by statute. FDA is required to  
15      include any Industry Representative in today's meeting under 21 U.S.C. 355(n)(r)(c). Under FDA's  
16      regulations, although a Non-Voting Member serves in a representative capacity, the Non-Voting Member  
17      shall exercise restraint in performing such functions and may not engage in unseemly advocacy or attempt  
18      to exert undue influence over the other members of the Committee. FDA encourages all meeting  
19      participants including the Industry Representative and Open Public Hearing speakers to advise the  
20      Committee of any financial relationships that they have with any affected firms, its products and, if  
21      known, its direct competitors.

22       We would like to remind the members that if the discussions involve any products or firms not  
23      already on the agenda for which an FDA participant has a personal or imputed financial interest, the  
24      participant needs to inform the DFO and exclude themselves from the discussion, and their exclusion will  
25      be noted for the record.

1 To ensure transparency, we encourage all Standing Committee Members and Temporary Voting  
2 Members to disclose any public statements that they have made concerning the product debt issue. We  
3 would like to remind members that if the discussions involve any other firms or products not already on  
4 the agenda for which a PAC member has a personal or imputed financial interest, the participant will need  
5 to exclude themselves from such discussion and their exclusion will be noted for the record. FDA  
6 encourages all other participants to advise the Committee of any financial relationships that they may  
7 have regarding the topics that could be affected by the Committee's discussions.

8 Thank you. I will now turn the meeting back to our Chair.

9 *FDA Opening Remarks*

10 Dr. Fischer: We will now proceed with opening remarks from Dr. Prabha Viswanathan, who is the  
11 Deputy Director of the Office of Pediatric Therapeutics, followed by an FDA background presentation by  
12 Dr. Mohamed Mohamoud, also from the Office of Pediatric Therapeutics.

13 Dr. Viswanathan: Thank you, Dr. Fischer, and good morning, everyone. It is my pleasure to  
14 welcome our Committee members and guests who are joining today's meeting of the Pediatric Advisory  
15 Committee. On behalf of my colleagues, I want to start by expressing gratitude to everyone involved in  
16 today's meeting. Thank you to our Committee members for their service and for the time they have taken  
17 to review the copious background materials that they received to prepare for today's meeting. Thank you  
18 to the FDA staff who made this meeting possible, including the teams who performed or contributed to  
19 the pediatric-focused postmarket safety reviews that are the subject of today's meeting, our speakers and  
20 center representatives, and all those who have contributed to the logistics and planning for today's  
21 meeting. I also want to thank the AV staff, both in the room and online, for their technical support today,  
22 and last but not least, I would like to thank the public for joining. Your presence and participation reflects  
23 your dedication to the health of our nation's children. Next slide, please.

1        The purpose of this brief opening presentation is to set the stage for a productive meeting. This is  
2        an overview of what I'll be covering, beginning with just a few announcements. Next slide, please.

3        Since our last meeting on July 9th, there have been no changes in the PAC roster and both a list  
4        of the PAC roster with the Standing Members as well as a full roster of today's meeting with the  
5        Temporary Voting Members is available with the meeting materials on the website. Again, thank you to  
6        all of you Standing and Temporary Voting Members for your service today.

7        From the FDA side, I'd like to share that Dr. Dionna Green departed the Agency in early October  
8        to pursue some new opportunities. Dr. Green served in a leadership capacity in the Office of Pediatric  
9        Therapeutics since 2018, initially serving as the Deputy Director before becoming Director in 2021. We  
10      thank her for her service and wish her all the best in her future endeavors. Next slide, please.

11      Next slide, please? Next slide, please. Thank you very much.

12      So, speaking of the future, we are excited to announce two upcoming pediatric-focused meetings  
13      that may be of interest to this audience. The first workshop is being convened on December 5th to discuss  
14      pediatric developmental safety with a focus on new approach methods. The second workshop is our 10th  
15      anniversary of the ADEPT series, which stands for Advancing the Development of Pediatric  
16      Therapeutics, and we're excited to mark this milestone with a discussion of neonatal product  
17      development, which we'll be discussing through the lens of rare disease frameworks. This meeting was  
18      initially scheduled for December, but will be likely postponed and information for registration and  
19      additional meeting information is available at the links on the slide. We'd like to also acknowledge our  
20      partners in the Triangle in Maryland, CERSIs, who are key partners in the execution of these meetings.

21      Next slide, please.

22      Before we proceed with the focus of today's meeting, I'll provide an update on the Pediatric  
23      Research Equity Act, or PREA, non-compliance letters as required by legislation. FDA issues PREA non-  
24      compliance letters to sponsors if they fail to submit a required pediatric assessment or report of a  
25      molecularly targeted pediatric cancer investigation within the specified timeframe. FDA also issues such

1 letters if a sponsor fails to request approval for a pediatric formulation as described in Section 505(b) of  
2 the Food, Drug and Cosmetic Act. Consistent with the Act, FDA publishes the PREA non-compliance  
3 letters on our website as well as a sponsor's response with some redactions. If a sponsor has requested a  
4 deferral extension or submitted a waiver request by the due date, FDA does not issue a PREA non-  
5 compliance letter unless FDA subsequently denies the deferral extension or waiver request. Next slide,  
6 please.

7 Since the last reporting of non-compliance letters at the July 2025 Pediatric Advisory Committee  
8 meeting, there have been no new letters issued by CBER and seven new letters issued by CDER. For  
9 more information about all of these letters, you can please access the links on the previous slide. Next  
10 slide, please.

11 We'll now turn our focus to today's meeting. FDA has convened the Pediatric Advisory  
12 Committee to discuss pediatric-focused postmarket safety reviews as mandated by the Best  
13 Pharmaceuticals for Children Act, the Pediatric Research Equity Act, and the Pediatric Medical Device  
14 Safety and Improvement Act. Next slide, please.

15 Before I discuss the agenda for today's meeting, I'd like to take a moment to reflect on the  
16 discussion from the last meeting on July 9th, and share some of the themes that we heard from the  
17 Committee, which we have also heard in prior meetings. Overall, missing data was the heart of  
18 individual's concerns that have been raised. These include missing variables in adverse event reports that  
19 were submitted to the FDA or incomplete capture of adverse event reports in other venues, for example,  
20 social media. For drugs, there were some members who were worried that the reports that FDA receives  
21 lack sufficient information to make a causality assessment. For vaccines, there were comments about the  
22 availability of data about concomitant administration both from premarket and postmarket data. And for  
23 Humanitarian Device Exemption products, which are by definition used by a very small number of  
24 patients, there were concerns about the small numbers and the ability to build context about why one or  
25 two reports might inform the broader use of that device. Also, with-- In the setting of Humanitarian

1 Device Exemption products, there were some comments about the learning curve that's needed for these  
2 devices that are used infrequently and the ability for individuals to share best practices among the small  
3 number of providers who participate. I do want to note that these are just examples of some of the  
4 viewpoints that were expressed in the past and are not representative of a consensus opinion of the  
5 Committee. Next slide, please.

6 With that in mind, this is a snapshot of our agenda for today's meeting. Following these remarks,  
7 my colleague Dr. Mohamoud will provide a background presentation summarizing FDA's Pediatric  
8 Safety and Monitoring Framework. The purpose of this presentation is to set the stage for a Committee's  
9 discussion regarding the optimization of pediatric adverse event reporting. We felt that that was an  
10 important component of today's meeting to address those concerns that were raised by the Committee and  
11 provide a forum for you to provide your recommendations to us for how we can work as an ecosystem  
12 involving all of the relevant stakeholders to improve reporting so that the FDA has all the core pieces of  
13 information needed to make a full causality assessment.

14 Following the discussion, we will break for lunch and the Open Public Hearing will begin as soon  
15 as we return at 1:15 p.m. Eastern time. We will then transition to a listing and discussion of the products  
16 evaluated in the pediatric postmarket safety reviews that were completed by the medical product centers:  
17 CDRH, CBER, and CDER. There's time allotted for clarifying questions and voting at specified times  
18 during the meeting. We are scheduled to adjourn the meeting at approximately 4:00 p.m. However, please  
19 do note that depending on the pace of the meeting and how it proceeds, it is possible that all of these times  
20 may shift. Next slide, please.

21 Discussion-- Next slide. Thank you. Discussion and voting procedures will be similar to those  
22 used in recent PAC meetings. There will be no vote on the first question of the morning. This will be for  
23 discussion purposes only. In the afternoon, we will use the Zoom platform to solicit a vote for each of the  
24 safety reviews under discussion today. The voting question and the answer choices will be same for all  
25 the centers and all the products. Each ballot will contain a series of voting questions, one for each of the

1 products listed on the ballot. The exception in the case of some of the CDER reviews is that sometimes  
2 CDER products were grouped into one pediatric-focused postmarket safety review and that same  
3 grouping will apply for voting purposes. Next slide, please.

4 This is a preview of the non-voting question that the Committee will discuss following the FDA  
5 background presentation. The question reads, “FDA encourages the public to submit adverse event  
6 reports when safety concerns arise. However, there are many factors that may impact reporting. What  
7 steps can patients or consumers, providers, and healthcare systems take to optimize the reporting of  
8 pediatric adverse events?” We look forward to hearing a robust discussion on this topic. Next slide,  
9 please.

10 This is a preview of the voting question for the afternoon, as well as an explanation of the answer  
11 choices. Those who have participated in several meetings recently will notice that we've expanded the  
12 language a little bit to reduce any ambiguity that might have been present in the past. The question reads  
13 as follows, “FDA did not identify new safety signals in the pediatric-focused postmarketing safety  
14 reviews conducted for the Pediatric Advisory Committee. As such, FDA recommends continuing routine,  
15 ongoing postmarket safety monitoring of each of the CDER, CDRH or CBER products under discussion.  
16 Does the Pediatric Advisory Committee concur?”

17 Voting Members will have the option to select from one of four voting choices. An answer of  
18 “yes” indicates that the Committee member believes that the pediatric adverse event reports have not  
19 identified a new potential safety signal and routine ongoing postmarket monitoring should continue. An  
20 answer of “no” indicates that the pediatric adverse event reports have identified a new potential safety  
21 signal and additional evaluation or surveillance should be considered to evaluate the specific signal, in  
22 addition to routine safety monitoring. Voting members have the option to abstain from a vote. One reason  
23 why folks might choose to abstain is if there's insufficient information to make a “yes” or “no” decision.  
24 And finally, there's the option to be recused from the vote. Voting members should select this option if  
25 they have been informed about potential conflicts of interests that have been identified on their pre-

- 1 meeting screening and all members have received a list of products for which they're recused from voting.
- 2 Next slide, please.

3 During the vote itself, there will be some pauses in the meeting flow. Individuals who are trying  
4 to join the meeting while the Committee is voting or during the period of time that FDA's tabulating the  
5 vote will be placed in a waiting room and this process may take approximately 10 minutes or more. We  
6 thank you for your patience during that period of time. During this time also after the PAC members have  
7 completed casting their vote, there will be a short break while the FDA staff tabulate the votes. Once the  
8 meeting resumes, the vote results will be displayed and read into the record by the Designated Federal  
9 Officer. Afterwards, individual PAC members will be called upon to read their individual-- Their vote  
10 into the record, and provide any commentary that they desire to make.

11 That completes my opening remarks and I thank you for your time and attention. And I will now  
12 turn the podium over to my colleague Dr. Mohamoud for the FDA background presentation. Thank you.

13 *FDA Presentation: Ensuring Safe and Effective Therapies for Children: FDA's Pediatric Safety  
14 Monitoring Framework*

15 Dr. Mohamoud: Thank you, Prabha. Good morning, everyone. My name is Mohamed Mohamoud, Senior  
16 Clinical Analyst in the Office of Pediatric Therapeutics within the Office of the Chief Medical Officer at  
17 FDA. Today I'll be discussing FDA's Pediatric Safety Monitoring Framework focusing on the evolution  
18 of pediatric product development, our regulatory oversight, and the essential role of this Committee in  
19 ensuring the safety and effectiveness of medical products used in children. Next slide.

20 Our discussion today will center on four main objectives. First, we'll review the key legislation  
21 and regulatory processes that have shaped pediatric product development. Second, we'll highlight the role  
22 of the Pediatric Advisory Committee in advancing pediatric safety, and explain how pediatric-focused  
23 postmarketing safety reviews fit into the FDA's broader regulatory and public health framework. Third,  
24 we'll look at FDA's postmarket pediatric safety monitoring and signal detection framework, which, while

1 consistent with the approach used for adults, incorporates unique considerations for pediatric populations.  
2 Finally, we'll discuss FDA's ongoing initiatives to strengthen pediatric safety surveillance and encourage  
3 reporting of adverse events, both within the regulated community and among clinicians, caregivers and  
4 the public. The FDA greatly values the partnership of this Committee and today's presentation is intended  
5 to set the stage for your discussion, providing context on how these programs work together to protect  
6 children. Next slide.

7 Pediatric product development has evolved through several landmark laws, namely the Best  
8 Pharmaceutical for Children Act of 2002, or BPCA, Pediatric Research and Equity Act of 2003, or  
9 PREA, and the Pediatric Medical Device and Safety and Improvement Act, PMDSIA Act, of 2007. Next  
10 slide.

11 The BPCA Act of 2002 built upon earlier legislation, most notably the 1997 Food and Drug  
12 Administration Modernization Act, to strengthen the foundation for pediatric product development in the  
13 United States. BPCA established a voluntary incentive program designed to encourage pharmaceutical  
14 companies to conduct pediatric studies for drugs and biologics. Under this framework, manufacturers that  
15 complete FDA-requested pediatric studies may receive six months of additional market exclusivity. This  
16 Act also led to the creation of the Office of Pediatric Therapeutics, or OPT, which coordinates pediatric  
17 initiatives across the Agency. This Act also established a partnership between the National Institute of  
18 Child Health and Human Development at the NIH and FDA to support studies for on- and off-patent  
19 products ensuring the important information on dosing safety and efficacy in children is generated and  
20 shared.

21 Importantly, BPCA also included a requirement for pediatric-focused postmarketing safety  
22 reviews for drugs that receive pediatric market exclusivity. These reviews ensure that any new safety  
23 signals are identified and evaluated promptly following a pediatric labeling change. As this framework  
24 evolved, the Pediatric Advisory Committee, which had previously functioned as a Subcommittee of the  
25 Anti-Infective Drugs Advisory Committee became a standalone committee. Under the Food and Drug

1 Administration Safety and Innovation Act, the PAC was tasked with reviewing these postmarketing  
2 safety reviews 18 months after a pediatric labeling change. This marked the beginning of a more  
3 structured, transparent and collaborative process for pediatric safety oversight that continues today. Next  
4 slide, please.

5 The Pediatric Research Equity Act, PREA, enacted in 2003, complemented BPCA by making  
6 pediatric assessment mandatory for certain drugs and biologics. Unlike BPCA's voluntary approach,  
7 PREA requires companies to include data on pediatric safety and effectiveness whenever a product is  
8 likely to be used in children. PREA also formally established the Pediatric Advisory Committee to review  
9 safety information and advise FDA on research and labeling related to the pediatric population. Next  
10 slide, please.

11 BPCA and PREA work together but serve distinct purposes. PREA mandates studies, there's no  
12 reward, and they apply only to approved indications in adults. Certain orphan products are exempt.  
13 However, BPCA in contrast offers six months of additional exclusivity for voluntary conducted studies  
14 which may even expand indications or apply to orphan products. Together, these Acts ensure that both  
15 regulatory requirements and voluntary incentives drive pediatric product development and labeling. Next  
16 slide, please.

17 The goal of a successful development program is to make a product commercially available.  
18 Several things must come together in order for that to happen. When they do, the stars align and increase  
19 the probability that a drug or a biologic will be approved. Ultimately, the regulatory approval requires  
20 many things. For example, clinical data, adequate manufacturing, and many other factors which are out of  
21 the scope of this talk. Our talk today will focus on generating data to support the efficacy and safety of  
22 drugs and biologics and Humanitarian Use Devices. Next slide, please.

23 Pediatric product approvals, whether for drugs or biologics, are held to the same evidentiary  
24 standard as adult approvals. Under Section 505(d) of the Public Health Service Act, the substantial  
25 evidence of effectiveness must be based on adequate, well-controlled studies that are methodically sound,

1 statistically robust, and capable of distinguishing the true effects of a product from other influences. For  
2 pediatric drugs and biologics, this means demonstrating a clinically meaningful benefit such as an  
3 improvement in how a child feels, functions or survives, supported by comprehensive safety data  
4 collected throughout the development process. These data must also enable a meaningful risk-benefit  
5 assessment taken into account developmental physiology, growth patterns, and disease progression in  
6 children, which can differ significantly from adults. In other words, pediatric drug and biologic  
7 development must meet the same scientific and regulatory standards of rigor, but with an added focus on  
8 age-appropriate dosing, formulation and safety consideration. Next slide, please.

9 The Pediatric Medical Device Safety and Improvement Act of 2007 incorporated into FDAAA  
10 strengthened oversight for pediatric devices. It introduced a Humanitarian Device Exemption, or HDE  
11 program, designed for rare diseases affecting fewer than 8,000 individuals annually in the U.S. The PAC  
12 plays a key role here as well, conducting annual reviews of pediatric HDE approvals to ensure continued  
13 appropriateness and benefit for pediatric populations. Next slide, please.

14 The HDE approval process occurs in two steps. The device must first receive a Humanitarian Use  
15 Designation from the Office of Orphan Products and Development. Then, a Humanitarian Device  
16 Extension application is submitted to the Center for Devices and Radiological Health, or CDRH, or the  
17 Center for Biologics Evaluation and Research, CBER. Approval requires a reasonable assurance of safety  
18 and a probable benefit, but it's exempt from demonstrating full effectiveness. This is a critical flexibility  
19 for rare pediatric conditions where large trials might not be feasible. Next slide, please.

20 This slide illustrates the product development lifecycle for drugs, biologics, and medical devices,  
21 and how pediatric considerations are integrated at multiple points along the continuum. This process  
22 involves numerous FDA interactions including pre-IND or pre-IDE meetings, end of phase-- And end-of-  
23 phase discussions. Each of these milestones provide opportunities for early and ongoing input. During  
24 these interactions, sponsors and FDA review divisions collaborate and refine study design, develop age-

1 appropriate formulations, determine pediatric dosing strategies, and plan labeling-- And plan for labeling  
2 that accurately reflects the safe and effective use in children.

3 Importantly, safety data collection and monitoring occurs throughout every stage of the  
4 development process, from preclinical research and clinical trials through postmarketing surveillance.  
5 This continuous monitoring allows potential safety issues to be identified and addressed as early as  
6 possible. Once a product is approved, the vigilance continues with pediatric-focused postmarketing safety  
7 reviews that are reviewed by this Committee. However, it's important to note that postmarketing safety  
8 surveillance occurs for all FDA-regulated medical products regardless of whether these additional  
9 pediatric-focused safety review requirements apply. Every marketed product, adult or pediatric, is subject  
10 to continuous monitoring through Adverse Event Reporting System and FDA's Pharmacovigilance  
11 Program. In this way, the pediatric safety framework operates on two levels: a targeted legislatively  
12 mandated review process for eligible products with new pediatric labeling, and a broader continuous  
13 surveillance system that evaluates safety data for all products in real-world use. Together, these  
14 overlapping systems ensure that safety oversight is both comprehensive and sustained. Next slide, please.

15 Once a product has been approved, one of the most critical outcomes of that process is the  
16 prescription drug labeling, the official source of information for healthcare professionals and patients  
17 about the product's safe and effective use. Labeling reflects the culmination of all premarket clinical trial  
18 data reviewed by the Agency for any medical product. It communicates key details, such as dosing,  
19 administration and safety information. For pediatric products, labeling also plays an important role. It  
20 determines whether and how a product can be used in children, specifying age groups, weight-based  
21 dosing, and any difference in pharmacokinetics or adverse event profiles compared with adults.  
22 Ultimately, prescription drug labeling is not the end of the process. It's a living document that grows with  
23 our understanding of adult and pediatric safety and efficacy, and it's central to how we translate regulatory  
24 science into real-world protection for children. Next slide, please.

1        This slide provides a schematic overview of how FDA's pediatric labeling changes for drugs and  
2    biologics lead to postmarketing pediatric-focused safety reviews. When a new medical product regulated  
3    by CDER or CBER is approved, pediatric labeling may be absent or only partially developed. In these  
4    cases, FDA's review divisions engage in early discussions with the sponsor to evaluate the feasibility and  
5    timing of pediatric studies under BPCA and PREA. Based on those discussions, requirements for  
6    pediatric studies may be deferred to be completed after initial approval or in some cases waived when  
7    studies are not feasible or scientifically appropriate. Once those pediatric studies are completed, the data  
8    are reviewed by FDA and if the results support pediatric use, including at times negative studies, the  
9    product labeling is updated to include pediatric information.

10       This pediatric labeling change then triggers a mandated pediatric-focused postmarketing safety  
11    review, which occurs 18 months after the pediatric labeling change. The purpose of this review is to  
12    evaluate all adverse event data and ensure that no new or unexpected safety concerns have emerged since  
13    the product's pediatric labeling-- Pediatric labeling change. Meanwhile, safety-related labeling changes  
14    continue to occur throughout the product's lifecycle, informed by ongoing surveillance from multiple data  
15    sources. Together, this process illustrates how pediatric product oversight is continuous and adaptive,  
16    beginning at approval, extending through postmarketing monitoring, and culminating in a formal review  
17    by this Committee to ensure that products used in children remain safe and effective over time. Next  
18    slide, please.

19       As mentioned, when a product receives a pediatric labeling change, that triggers a postmarketing  
20    safety review under both BPCA and PREA requirements as we discussed, and after 18 months of such a  
21    change, the FDA is required to evaluate all adverse event reports related to that product and present the  
22    findings to the Pediatric Advisory Committee. Similarly, under the Pediatric Medical Device Safety and  
23    Improvement Act, the PAC is tasked with ensuring that Humanitarian Device Exemptions remain  
24    appropriate for the pediatric population for which it is approved. This process ensures transparency,

1 accountability, and ongoing vigilance in pediatric safety, and strengthens the collaboration between the  
2 FDA and the Pediatric Advisory Committee to safeguard pediatric patients. Next slide, please.

3 While the PAC scope is broad, one of the most important PAC responsibilities is to review  
4 pediatric-focused postmarketing safety data for drugs, biologics, and certain medical devices. These  
5 reviews rely primarily on passive surveillance data reported to the FDA. These systems are valuable for  
6 identifying potential safety signals, but they also have limitations, including under-reporting, variable  
7 report quality, and the inability to determine the true incidence rates of adverse events. Each review  
8 focuses on a defined period of time following a pediatric labeling change or approval, during which FDA  
9 evaluates all available adverse event reports and presents its findings to this Committee. However, it's  
10 important to recognize that this process represents only one component of FDA's broader pediatric safety  
11 monitoring efforts. Beyond the PAC review cycle, the Agency conducts continuous surveillance, engages  
12 in targeted observational studies, collaborates internationally, and implements new data-driven tools, all  
13 aimed at ensuring the ongoing safety of medical products used in children. Next slide, please.

14 So, pediatric-focused safety reviews encompass products overseen by all FDA medical product  
15 centers: CDER for drugs and therapeutic biologics, CBER for vaccines and biologics, and CDRH for  
16 pediatric devices under the HDE program. This multicenter effort allows for a holistic view of pediatric  
17 medical product safety. Next slide, please.

18 After a medical product is approved and begins widespread use, ongoing postmarketing safety  
19 surveillance becomes one of FDA's most important responsibilities. The postmarketing Adverse Event  
20 Reporting System is central to that effort. It allows FDA to monitor how medical products perform in the  
21 real-world setting and to identify any unexpected safety issues that might arise after approval. Reports of  
22 adverse events come from several key sources: healthcare professionals, who observe adverse events in  
23 their clinical practice; patients and caregivers, who may report directly through MedWatch, the FDA's  
24 voluntary online reporting system; and manufacturers, who are required by regulation to submit both  
25 expedited and periodic safety reports based on their ongoing postmarketing surveillance. Once these

1 reports are received, they are captured in product-specific internal FDA databases, such as the FDA  
2 Adverse Event Reporting System, or FAERS, for drugs and biologics, the Vaccine Adverse Event  
3 Reporting System for vaccines, and the Manufacturer and User Facility Device Experience, or MAUDE,  
4 database for medical devices. Next slide, please.

5 Manufacturers of drugs and biologics and devices are required to submit both expedited and  
6 periodic safety reports. Serious and unexpected or unlabeled adverse events must be reported promptly,  
7 while expected or labeled adverse events are compiled quarterly, then annually after three years of  
8 approval of the medical product. These reports are vital for signal detection, especially for rare or delayed  
9 onset adverse events in all populations. Next slide, please.

10 Passive surveillance systems like FAERS, VAERS and MAUDE are essential, but have strengths  
11 and limitations as we mentioned. They're particularly useful for identifying rare, serious, or early or  
12 occasionally delayed onset adverse events that may not emerge in clinical trials. However, they rely on  
13 report quality and completeness, making interpretation challenging when background rates of adverse  
14 events are high or reporting is inconsistent. Recognizing these constraints is key when evaluating safety  
15 data. Next slide.

16 The goal of a pediatric-focused safety review is to identify new safety signals, as we discussed. A  
17 safety signal arises when data suggests a potential new association or an unexpected aspect of a known  
18 risk. FDA assesses signals using factors like temporality, dose response, biological plausibility, and the  
19 absence of alternative explanation. The goal is to determine whether safety concerns warrant further  
20 investigation, regulatory action or labeling changes. Next slide.

21 When analyzing these passive surveillance databases, we look for patterns, clusters by age, dose,  
22 time, or event type that may indicate emerging safety concerns. These findings are crosschecked with  
23 other sources including literature and clinical trial data to confirm validity. Ultimately, this helps FDA  
24 and the Pediatric Advisory Committee make informed evidence-based recommendations for pediatric  
25 product safety. Next slide, please.

1       Once a product is approved and reaches the market, our work in monitoring its safety does not  
2 stop. In many ways, it begins a new continuous phase that occurs year around, outside pediatric-focused  
3 safety review process. FDA continuously collects and analyzes data from a range of postmarketing  
4 sources to detect and assess potential safety concerns that may not have been evident during premarketing  
5 studies. These sources include FDA's internal surveillance systems, as we mentioned, such as FAERS,  
6 VAERS, and MAUDE. In addition to these FDA-managed systems, we also utilize information from  
7 external and complimentary databases, such as the National Poison Data System and other real-world data  
8 sources like Sentinel for drugs and biologics or therapeutic biologics, and the Biologics Effectiveness and  
9 Safety Initiative for vaccines and biologics, and the National Evaluation System for Health Technology,  
10 or NEST, for devices.

11       FDA also reviews global safety data submitted by industry partners and collaborates with  
12 international regulators, including the European Medical Agency, EMA, to identify global trends that  
13 might affect U.S. pediatric populations. These diverse sources together provide a broad, nuanced view of  
14 real-world product performance, allowing us to see patterns, compare reporting rates, and place adverse  
15 events in the context of the overall product use. Next slide, please.

16       Safety signal detection begins with continuous surveillance of postmarketing databases, which is  
17 ongoing outside the pediatric-focused safety reviews for unusual patterns, such as unexpected increases in  
18 reports, clustering within certain age groups, or consistency across multiple reporting systems. Once a  
19 signal is detected, the next step is signal evaluation, where we assess whether the observed association is  
20 likely to be real and clinically meaningful. This involves examining temporality, whether the adverse  
21 event occurs in a plausible timeframe after exposure, dose response relationships, and biological  
22 plausibility, as well as alternative explanations such as underlying conditions or concomitant medications.  
23 If the evidence supports a credible relationship, we move to signal verification confirming the finding  
24 through additional data sources such as observational studies, manufacturer safety databases, or global  
25 pharmacovigilance networks.

1        This structured approach allows FDA to distinguish true safety risks from coincidental findings  
2 and to determine whether further regulatory action is needed, such as updating labeling, issuing a safety  
3 communication, or requesting additional studies. The PAC will be kept informed throughout this process  
4 and the PAC's input will be sought as warranted by the regulatory issue under consideration. Next slide,  
5 please.

6        FDA continues to strengthen reporting pathways through MedWatch online and mobile tools and  
7 simplified reporting forms and extensive outreach to clinicians, caregivers and professional societies.  
8 Transparency is equally important to the FDA. Platforms like FAERS's public dashboard provide open  
9 access to adverse event data, empowering the public to review and understand safety information. Next  
10 slide, please.

11       Beyond the formal Pediatric Advisory Committee process, FDA maintains a robust and  
12 continuously evolving system of pediatric-focused safety monitoring. These ongoing initiatives extend  
13 across the agency and operate year-round ensuring that pediatric medical product safety remains a top  
14 priority even outside the mandated 18-month review cycle.

15       To begin, FDA has assessed the impact of CDER mandated pediatric-focused safety reviews. In  
16 parallel, FDA has developed and implemented best practices for postmarket surveillance of human drugs  
17 and biologic products. These best practices guide FDA staff in how to consistently detect, assess, and  
18 manage safety signals. They emphasize proactive monitoring, cross-center collaboration and integration  
19 of premarket and postmarket data and early engagement when potential pediatric concerns emerge. The  
20 goal is to ensure that any potential risk to children are identified and addressed as early as possible. As  
21 part of our transparency commitment, FDA also publishes potential signals of serious risks identified  
22 through postmarketing surveillance. These are posted publicly on FDA's website to alert healthcare  
23 professionals and the public to safety issues that are under evaluation. This proactive communication  
24 allows clinicians, caregivers, and researchers to stay informed while formal regulatory reviews are  
25 ongoing.

1 Another important development is FDA's real-time adverse event reporting initiative. This  
2 initiative uses advanced analytics to identify changes in reporting trends almost as they happen. Real-time  
3 monitoring allows FDA scientists to detect potential safety concerns faster and initiate evaluations before  
4 signals escalate. These initiatives embody the shared mission of the FDA and this Committee to protect  
5 the health and the wellbeing of children through science-driven vigilance, responsiveness and  
6 transparency. Next slide, please.

7 So in summary, the FDA's work in pediatric safety really builds on a strong foundation of  
8 legislations like BPCA, PREA and PMDSIA. Together they ensure that studies in children are done  
9 responsibly and that safety continues to be monitored long after a product reaches the market. FDA  
10 developed a comprehensive framework that looks at the entire product lifecycle, from early development  
11 through postmarketing review, so that every decision keeps children's safety at the center. The Pediatric  
12 Advisory Committee plays a key role in this effort. Your expertise helps us identify emerging safety  
13 concerns and make sure that pediatric perspectives are always part of the conversation.

14 And finally, our commitment doesn't end there. Through transparency, outreach and public  
15 reporting tools like MedWatch and the FAERS public dashboard, we continue to encourage collaboration  
16 and awareness because protecting children's health is a shared responsibility. Thank you for your attention  
17 and continued collaboration. The work of this Committee is instrumental in protecting and advancing  
18 pediatric health. I welcome your questions and your discussion on any of these topics. Thank you. Next  
19 slide.

20 *Q&A*

21 Dr. Fischer: Thank you Dr. Mohamoud and Dr. Viswanathan for your presentations. Before  
22 we begin discussion on the non-voting question that we will discuss here shortly that the FDA has asked  
23 us to comment on, are there any clarifying questions for what was just presented about processes? And I

1 see a couple of hands up, so this is the time to ask as the FDA will not be participating in our PAC  
2 Committee discussion that will happen after this. So, Dr. McMillan, I see your hand up. Go ahead.

3 Dr. McMillan: Thank you. Thank you for such a comprehensive discussion, explanation about  
4 what goes on behind the scenes when you're collecting these reports. So, from a Committee member's  
5 point of view, so for example, in the list of drugs that we reviewed for this meeting, there's like roughly  
6 1,200 unassessable reports that include 60 deaths. Now, as a Committee member, I am uncomfortable  
7 voting "Yes, continue what you're doing" with this incomplete information. And so I think that multiple  
8 times members of this Committee have brought up our unease with having being called upon to vote in a  
9 positive matter based on these sort of negative or unassessable reports.

10 And so I think for me personally, I don't know for the rest, but for me, I start going to problem-  
11 solving mode and very specifically, narrowly focusing on why do we have so many unassessable reports?  
12 Why are they incomplete? And so then I ask myself, "Is there a mission problem? Is there a  
13 communication problem? Is there a process problem?" So do all the reporters, the patients, consumers and  
14 healthcare professionals, do they understand the mission? Do they understand why we want this  
15 information and why it's important, and do they agree with that mission? Right? Secondly, is there a  
16 communication problem? Do they understand the requirements of reporting? Do they know how to do it?  
17 Do they know where to go? Do they understand the process? And then the third thing is the actual  
18 process. Is there something that could be done to the actual reporting process that's going to improve the  
19 quality of the final report? So for example, if it's a paper that they're filling out, and I don't think it is, are  
20 there enough check boxes to remind them to do all of these things? If it's web-based, which I assume it is,  
21 are there windows that they must fill in before they are allowed to go to the next screen or the next  
22 information item? So I mean, that's just like a real life hands-on-- I'm wondering, what is the process?

23 And I know from the outside looking in, there's quite a comprehensive process behind the scenes,  
24 but in the end, if I, as a Committee member, am required to vote on something and I don't have a  
25 complete set of data, and we're talking about lives, morbidities, deaths. That's, I think, why this question

1 has come up before. And so I think all of that-- Thank you for letting me get that off my chest. My  
2 question about the process is: Are there standardized reporting forms? I mean, I'm going-- Not mission,  
3 not communication because that's kind of "blah blah," but I mean the tactile, the actual hands-on, are  
4 there standardized reporting forms? And what could this Committee possibly do to assist you or give you  
5 ideas for improving the quality of the final reports?

6 Dr. Mohamoud: Thank you. Thank you so much for the question. I think you raised several issues  
7 that, I think-- We hope that during the non-voting discussion on the question that we posed to the  
8 Committee, that all these ideas that you brought forth what I think are all helpful, will help improve the  
9 process. Certainly-- Just to answer your specific question, yes, there are standard reporting forms for both,  
10 for drugs, for biologics and devices. There are required fields and non-required fields so a reporter doesn't  
11 have to fill the entire forms. Obviously, the forms are designed to try to get as much details as possible  
12 because the more details you have, the more informed decision you can make. But we know that that  
13 doesn't happen in all cases and there's constant efforts to look at these forms to see how you can balance,  
14 sort of simplifying the reporting process while at the same time capturing the most important elements  
15 that will help you make an informed decision. So, I think all of these factors weigh in, but I agree with  
16 you. I think communication, having standardized forms, making sure that we do more outreach, I think  
17 are all things that we certainly can work on and improve to make sure our message is heard loud and clear  
18 across all of healthcare.

19 Dr. Fischer: Dr. Viswanathan, do you have any additional comments?

20 Dr. Viswanathan: I don't. I welcome the question and it really beautifully sets the stage for  
21 this discussion and I'm really interested in hearing the feedback from all of the Committee members about  
22 what we can do to tackle this difficult issue. The more data that are reported to the FDA, the more robust  
23 the analysis can be. And as I mentioned, this really does take partnership from every element of the  
24 ecosystem so that people know how to report, they know what's important, what are those elements that  
25 we're looking for to really facilitate the causality assessment. And as my colleague just said, finding that

1 balance where there's enough information to make a robust report without becoming so burdensome that  
2 nobody wants to report. And so we want to hear from you about things that we can do in partnership with  
3 you to really achieve that balance.

4 Dr. Fischer: Thank you, Dr. McMillan. Other questions from the PAC? Go ahead and raise  
5 your hand if you have questions about what was just presented for Dr. Mohamoud and-- Ah, there's a  
6 question. Go ahead, Dr. Nelson.

7 Dr. Nelson: Yeah, thank you. Quick question. Years ago, when I was in clinical practice, I  
8 reported an adverse event in a neonate and actually received a telephone call from an FDA investigator  
9 wanting more information. Now I'm assuming that that must have been on their radar as something they  
10 were looking at, but I'm just curious how often-- I can imagine that's very resource-intensive and I suspect  
11 it's not done very often, but do you have any information as to whether or not FDA actively goes out and  
12 looks for more information under some circumstances and what would those be? Is that being done now?  
13 When I say years ago, we're talking 1990s, I have no idea if it's being done now or not. I'm just curious.

14 Dr. Mohamoud: Yes, thank you for the question. I think you're right on. I think we try to do this,  
15 obviously we don't do it on every report. We receive millions and millions of reports every year,  
16 specifically when talking about drugs and therapeutic biologics. But there is an effort to follow up with  
17 reporters. Reporters have to obviously leave the correct information for follow up, which doesn't always  
18 happen. But in the cases where it does happen and there is a serious concern about a particular adverse  
19 event, follow up does occur. I don't have sort of a rate to tell you how often that happens, but it happens  
20 for safety concerns that are serious and that FDA is potentially already investigating and is gathering  
21 more info, or desire to gather more information about. So, outreach does happen and it happens by phone  
22 or by email as well. Thank you.

23 Dr. Fischer: Thanks for that question. Dr. Guillory, go ahead with your question.

24 Dr. Guillory: First of all, Dr. Mohamoud, thank you so very much for that excellent  
25 comprehensive presentation, but I may have missed it. Could you tell me who are the primary people

1 reporting? In other words, is it the specialist, is it the primary medical home? Is it the hospitals? Who is  
2 actually reporting these adverse effects?

3 Dr. Mohamoud: Yes, thank you for the question. So, most of the reports that we receive come  
4 from regulated industry. And regulatory industry, by that I mean sponsors, whether they're sponsors of  
5 drugs, biologics or devices. And some of these reports might be submitted by healthcare professionals but  
6 submitted directly to the manufacturers, and then the manufacturers because they're required to report that  
7 information to the FDA, eventually that information gets to us. But there's also an ability for healthcare  
8 professionals to report to the FDA directly without going through the manufacturer, and that encompasses  
9 all kinds of healthcare professionals, physicians, pharmacists, nurse practitioners. So yeah, the list  
10 encompasses all healthcare professionals, and I don't have a sort of a number to say what healthcare  
11 professional or what specialty reports the most. So, I don't have that information, but the information  
12 comes from all healthcare professionals.

13 Dr. Guillory: May I have a follow-up, please?

14 Dr. Mohamoud: Yeah, please.

15 Dr. Guillory: Parents, how do parents who really know that there's an issue, how-- What's the  
16 process for a parent to get the information in?

17 Dr. Mohamoud: Yes, so we do have consumer reporting forms which are more simplified and use  
18 lay language to solicit information from patients. Speaking specifically about the pediatric population,  
19 obviously we get a lot of reports from caregivers or parents, not as much as we would like, but we get  
20 some of these reports, and we would like to get more and we hope that during our discussion you guys  
21 can provide us with perhaps some ideas so we can increase the number of reports that are coming directly  
22 from adolescents or coming through parents for younger children. But there are specific consumer  
23 reporting forms that use lay language and are designed to allow the public to report directly to the FDA.

24 Dr. Fischer: Thank you for all those great questions. I have a clarifying question as well. This  
25 is Gwen Fischer. So, you had mentioned that industry is mandated to report to you. In the case of them

1 finding out an adverse event directly or in the case of a provider calling or alerting them to an issue, what  
2 is the feedback loop there? So, how--What are the consequences for industry not reporting that and how is  
3 that monitored to ensure that that mandated reporting is occurring?

4 Dr. Mohamoud: Good question. Yeah, thank you for the question. I think-- You know, the FDA  
5 has regulations in place to require reporting and the reporting is required promptly for serious adverse  
6 events that are unlabeled, so unexpected, so things that we don't know about. So, these reports are  
7 required to be reported as promptly as possible so that we can address them. For reports that are sort of  
8 known, known risks of the drug and are already labeled, reporting doesn't have to happen as promptly and  
9 can happen periodically, and FDA sort of monitors that. As far as what type of enforcement, we  
10 constantly-- FDA has a sort of a compliance division that visits different sites and makes sure that adverse  
11 events that are reported within the facility within a particular company, that they have robust records for  
12 documenting those adverse events and relaying those adverse events to the FDA. Occasionally, I think  
13 something like that might happen where a company perhaps is delayed, and if that delay is legitimate,  
14 maybe the FDA will allow them some more time to build a more robust system and report that  
15 information. But in cases where there's clear negligence of reporting, obviously they can be admonished  
16 by a warning letter or maybe more severe action than that.

17 Dr. Fischer: Great, thank you. And then we have one more question for the clarifying  
18 question section of this discussion. Dr. Krug, go ahead. Dr. Krug, you're on mute.

19 Dr. Krug: Okay, there we go. Hi, can you hear me?

20 Dr. Fischer: Yes, we can.

21 Dr. Krug: So, this is Dr. Steve Krug, and I think we're sort of foreshadowing the discussion  
22 to follow, but getting providers and non-providers-- Providers, I mean healthcare providers, to report--  
23 And we've learned this from just sort of safety event reporting at institutions. They need to understand  
24 what happens after they report and sort of understand how that sausage is made. And I'm not sure that  
25 that's evident to reporters because people are reporting locally. The reporting then by process or mandate

1 goes to the companies or sponsors and then eventually makes their way to the FDA, so there's a bit of a  
2 disconnect there. And I think if people understood how it worked and had these sort of stronger incentive  
3 about how things work and their potential impact, the good part of all of this, I think they might be more  
4 inclined to report because essentially they lodge a report and it goes into-- I don't want to call it a black  
5 hole, but it goes someplace and they never hear about it again. So, we have to talk more about how we  
6 bring this all together so that healthcare providers, patients, and families sort of better understand the  
7 important role that the FDA has and how this helps us to promote the innovation that we need to provide  
8 the appropriate therapeutics for kids and to be vigilant for safety concerns. Thanks

9 Dr. Fischer: Dr. Krug, those are all very relevant points to our next portion of this. So, I'll just  
10 ask you to repeat that when we get to a roll call in a minute on the open discussion part because I think  
11 that is very relevant to our next discussion. Thanks for bringing that up. Dr. Hoehn, do you have a  
12 clarifying question on the presentation? Otherwise I'll have you first up for our next section here.

13 Dr. Hoehn: Not sure. It could be either. It's about the topic of overdoses being a known--  
14 Something that's listed, but then not necessarily anything that we're getting more information or prompts  
15 about. That's why I had a question from the content part of it, but my question, I guess for the process part  
16 of it, is even if overdoses are known risks, is there anything in the system that when someone's putting  
17 that in that gives them prompts or drops downs about whether or not Narcan was co-prescribed? So if  
18 hypothetically patient A took an overdose of medication B and it was a narcotic or an opioid, are there  
19 prompts built into the FDA system for them to ask additional questions about the co-prescribing of  
20 Narcan? I don't know which section that falls into, but it seemed process-related.

21 Dr. Mohamoud: Yeah, thank you. I mean, I can briefly respond to this question. So, whenever  
22 someone is reporting an adverse event, there are required elements that they are required to report, like  
23 the drug and the adverse event, like in this case it would be whatever drug it was, and the event would be  
24 an overdose. There's also an opportunity for free text reporting where you can describe as many details or  
25 as little details as possible. Obviously more details are encouraged, especially details that can help

1 establish an association between a drug and an adverse event. There's no specific prompts to prompt  
2 someone to say whether a rescue medication was given or not. But all that additional detail that can be  
3 provided in what we call the "narrative section" of the reporting form are all relevant because that gives  
4 to-- That gives an indication of the severity of the adverse event if a rescue medication was administered.  
5 Thank you.

6 Dr. Fischer: Thank you Dr. Mohamoud and Dr. Viswanathan for your great presentations  
7 here. We are going to move on to the next part of this discussion, so if we could move to the next slide,  
8 please. Thank you. Thanks again to both of our speakers and also for their clarifying answers to some of  
9 our questions.

10 *PAC Committee Discussion on Non-Voting Question*

11 Dr. Fischer: At this time, we're going to engage in an open discussion. It's on a non-voting  
12 question. This will be a general discussion about pediatric postmarket safety monitoring, and it's not  
13 intended to be focused on any specific products, including those that we'll be discussing this afternoon.  
14 So, this afternoon is a separate process. In your comments, please try to use general terms such as the type  
15 of drug, like a drug or a device or a biologic or therapeutic class. If you must refer to a specific product to  
16 illustrate your point, please try to use the generic name for the product and do not reference any products  
17 for which you have a potential conflict of interest.

18 Each member of the PAC will have the chance to provide their response, and I will be calling  
19 upon each of you to do so. I'll be asking for all PAC members to provide their perspectives on this  
20 important topic. As a reminder, the discussion is intended to be between just the PAC members and does  
21 not involve FDA staff. This is intended to be a discussion, so please raise your hand if you wish to make a  
22 statement in response to another PAC member's comments. I'd like to get through the roll call where all of  
23 you will have a chance to make a statement or some comments similar to what we were just doing. And

1 then once we get through everybody, we'll have an open discussion. Please go ahead and refer to other  
2 comments that have been made by PAC members and we'll try to stick to one topic at a time, if possible.

3 So the Committee discussion on non-voting question is the following: the FDA encourages the  
4 public to submit adverse event reports when safety concerns arise. However, there are many factors that  
5 may impact reporting, as we've been discussing. The question for us to discuss today: what steps can  
6 patients, consumers, providers, and healthcare systems take to optimize reporting of pediatric adverse  
7 events? So, I'm going to go ahead and go through our roll call and please make any initial comment and  
8 then we'll start with an open discussion. So, starting with Premchand Anne, please go ahead and turn your  
9 mic on and comment on the general question on the slide.

10 Dr. Anne: Thanks, Dr. Fischer. I'm Premchand Anne. I just had a couple of quick points and  
11 one of the things that was discussed earlier in the clarification time period was possible hard stops, you  
12 know, just implementing hard stops on a form to ensure the completeness of the submission. Along with  
13 those hard stops and the forms-- And I almost wonder, instead of just sending the adverse events to the  
14 company per se for the reporting, perhaps if there is a direct link between the FDA, whatever form it is,  
15 and the manufacturer site to submit that directly to both places. Just something to think about. The other  
16 thing that I think really does impact submission of these pediatric adverse events, of course, is the time.  
17 As a physician, there's more and more demands that are placed on the physicians, and this takes time,  
18 especially a detailed submission. While there is a significant emphasis on, and there should be, emphasis  
19 on patient welfare, pediatric patient welfare, the time does play a significant role also. So, I'll leave it at  
20 that.

21 Dr. Fischer: Thank you for those comments. Susan Baker.

22 Dr. Baker: Yes. I think that the previous speaker really addressed my concerns, physician  
23 time and really having hard stops with the input of data.

24 Dr. Fischer: Thank you. David Callahan.

1           Dr. Callahan: Yes. I guess my thought is that patients and the public would be encouraged to  
2 speak with their prescribing physician if they're going to fill out an adverse event just so that the  
3 physician can also be involved in making sure that it's reported appropriately with appropriate details.

4           Dr. Fischer: Great. Thank you. Douglas Diekema.

5           Dr. Diekema: Well, I would second what most people have said and would underscore Dr.  
6 Krug's earlier comments that I think for many of us, it's a bit of a black box. You don't know where those  
7 things go, if anybody looks at them and so on. And so I think for some providers there may be some  
8 questions about the utility of what they're doing. I mean, I have broader concerns about the quality and  
9 validity of the data, which aren't so much about reporting. I just don't know exactly what to do with data  
10 that doesn't have a denominator, and I don't know what to do with data that's very subjective. I mean,  
11 most of these drugs and devices are used in patients who have underlying conditions and it can be very  
12 difficult for a provider or a healthcare system to know whether it's the underlying disease of the drug. And  
13 so I think reporting is to some degree impacted by their own assessment as to what they think, which I  
14 think also raises some concerns about what to do with the data that's generated.

15          Dr. Fischer: Thank you, Dr. Diekema. Randall Flick.

16          Dr. Flick: Thank you. Randall Flick. So, the question is: what steps can patients,  
17 consumers, et cetera, et cetera, provide? And I think the real challenge here is what can the FDA do with  
18 the various stakeholders to improve reporting? Let me give you an example of what I'm thinking about  
19 here. It's that this is a problem, having served on various Advisory Committees in the past, the access to  
20 accurate data plagues each of these Committees in their decision-making. The ability to make reporting  
21 more accessible, I think, is key. For example, the opportunity to work with Epic, for example. So each  
22 one of us in our daily work, if we have an adverse drug event, could simply go to an area of Epic to be  
23 able to report that. That's something that would make this process much simpler and I think provide better  
24 quality data. Unfortunately, like the past speaker, what we do with those data is a challenge. And having a  
25 repository or access to large databases, which are widely available now, to be able to query about

1    questions is really very important. And so if we get adverse drug reports, we have to have a large database  
2    to query to be able to make sense of those reports in real time, not next year or not next month, but really  
3    in real time. Thank you.

4                    Dr. Fischer:    Thank you, Dr. Flick. Dr. Guillory.

5                    Dr. Guillory:    Yes. The first thing I really want to have a better idea of is from the FDA, who  
6    are the reporters, and to get a percentage of that, so we will know specifically where and who we should  
7    be targeting. Because apparently it may be coming from one way, but I really worry about parents who  
8    are seeing this, and what happens to their reports if it goes through a physician and then a physician goes  
9    through a hospital. How is that information brought up? In terms of just in general, I think education. I  
10   never hear anything-- You hear about drugs, and very gently at the end of a commercial, you hear about  
11   all the complications. So, I always wonder how if you educate the public about these reports, would it be  
12   too many or would it be a scare tactic? I'm not sure, but whatever it is, I think parents who are taking care  
13   of the children and see the adverse effect should have an easy way of reporting this directly either to their  
14   physician when they're talking, but some sort of way where they can have access to reporting it directly to  
15   the FDA. So, I think more education, for sure, for parents, for the public, for physicians, and find out  
16   what we are doing in the hospital system as well.

17                  Dr. Fischer:    Thank you, Dr. Guillory. Dr. Hoehn.

18                  Dr. Hoehn:    Sarah Hoehn. So, I have three quick comments. I'll try and be quick. I know that  
19   we've talked about how to get more data, like Dr. Diekema was saying, but one potential idea that I know  
20   we've looked at with other medications in the past is looking at the number of prescriptions given, at least  
21   in the U.S., whether or not that would allow for a denominator to give a rate of some of these things.

22                  And then the second point is that I think going through all the reports that we looked at, one of  
23   the things that I found, I don't know if the word is disappointing or frustrating, I mean it's understandable,  
24   but when we exclude the known adverse events, again going back to overdoses and things like that, I do  
25   worry that in the long term we're discouraging reporting because people will say, "Oh, well, we already

1 knew about that. I don't need to re-report it." But then if for some reason you start having much higher  
2 rates or an increased number, we wouldn't necessarily know because people would be discouraged from  
3 reporting because they would say that nothing ever changes. So, I think we do have to think about how  
4 we close the loop and that you do still want to know about known adverse events, particularly when it  
5 relates to fatalities around opioid usage and things like that, because I think it's really important that we're  
6 able to track that.

7 And then the third is I agreed with what everyone else was saying about easier reporting, whether  
8 it's an app or a different thing that's built in the EMR to make it more straightforward and then has the  
9 prompts in there so we can get meaningful data.

10 Dr. Fischer: Thank you, Dr. Hoehn. Dr. Johnson.

11 Dr. Johnson: Yes. The joys of being in the middle of the alphabet, people have said a lot of  
12 things. I think the answer to the question about where the reports were coming from, and it's mostly from  
13 the required reporting of sponsors, I think just shows a gap. I feel like the busy clinician is maybe always  
14 remembering or always hearing about vaccine adverse event reporting, but maybe they're not thinking of  
15 the FAERS database. So, I think clinician education, and then if you're going to do that and you're going  
16 to get more reports, the FDA is going to need more resources to go through them. I had also jotted down  
17 something about Epic. Maybe if there was some way that there was a release, there would be an easy way  
18 to either integrate or to report.

19 And then I think when- I think in my capacity as an IRB Chair, sometimes I see these MedWatch  
20 reports that are attached to reportable events, but then the reportable event form that I'm seeing seems to  
21 have more nuance and context, right, than when I look at the MedWatch. It seems like there's not enough  
22 space or people don't write as much. And so potentially in the sort of era of smart forms and reporting,  
23 can you have something scalable so that if someone is reporting a potential adverse event that is a death,  
24 then it sort of takes you down one sort of smart pathway to get more information cause that's-- Not that  
25 sort of a minor rash isn't serious, but it would sort of also help, I don't know, make the data sort of more

1 nuanced and easier to look at, and then it might sort of encourage more information about those serious  
2 adverse-- Events that are more serious. I think that's about it for everything that I had scrawled down.

3 Dr. Fischer: Thank you, Dr. Johnson. Dr. Juul.

4 Dr. Juul: So, thank you. I was going down the pathway of helping people to realize that  
5 reporting is everyone's job. So, for drugs that parents administer to their children, if there could be like on  
6 the label or the material that they get when they pick up the drug, something about "If you have an  
7 adverse event, report here" with a website address. And similarly, for doctors that are in the hospital  
8 prescribing medications, having a spot probably on Epic to encourage them to report adverse events. And  
9 just talking about Epic, there is something called Cosmos that has accumulated Epic data from all the  
10 Epic sites in the country and in some other countries as well. So, there's millions and millions of data sets  
11 in Cosmos and that's available. So, that's all I've got.

12 Dr. Fischer: Thank you, Dr. Juul. Dr. Krug, I hope that you can reiterate your earlier  
13 comments.

14 Dr. Krug: Well, that would require a functional memory, but I'll try and summarize it. Just  
15 one quick thought, though. Hard stops are a great idea, but hard stops are also a barrier, so we have to sort  
16 of figure out the right balance there, in terms of the reporting tool. Many of us work in hospital systems  
17 and there are fairly robust adverse event safety event reporting systems. And I think that collectively the  
18 culture has changed, which should benefit this process. It has been my observation and experience that  
19 reporting increases when people sort of see an outcome and understand how important it is, of course.  
20 And I think that the current reporting mechanisms don't really allow you to do that because the outcome  
21 isn't necessarily even going to be visible at your institution. And since many of these reports are  
22 forwarded from your local reporting system to sponsors, I think that's where it sort of stops.

23 I think there are probably opportunities to align with not just with hospital-based systems and  
24 through hospital associations, but also through professional organizations, the American Academy of  
25 Pediatrics would be a good choice, to collaborate on a process by which we might better inform

1 healthcare providers and help them to understand why this is important, why we need this information,  
2 how is it used. And boy, it would be even greater for the system to provide some feedback to the reporters  
3 at least, even if it's just sort of a brief "Thank you." More difficult issues with the ultimate consumers who  
4 are not healthcare providers, although I agree with some of the comments that have been made.

5 Dr. Fischer: Thank you, Dr. Krug. Dr. Summers.

6 Dr. Lee-Summers: I agree with everything that's been said. What's coming to mind is that it  
7 would be good to reduce the burden on the patient and the patient's family. They have enough to deal with  
8 and we don't want to discourage them from needing to connect with their physician to do a joint  
9 complaint. So if the patient and their family file a report, I do think it should be the burden on the FDA to  
10 reach out to that physician to get additional information, specifically the questions that were listed earlier,  
11 such as the timeline of what happened, the physician may need to clarify something in the timeline that  
12 would or would not be interesting to the FDA. And several people have mentioned Epic. I think only 60%  
13 of hospitals are using Epic. And so if this was to roll out as something that would be easily reportable  
14 using the hospital's EMR, we would also need to make this available to the non-Epic hospital users just to  
15 make sure we don't get inadvertent bias.

16 Dr. Fischer: Thank you, Dr. Summers. Dr. McMillan.

17 Dr. McMillan: So, I'm keeping my thoughts very narrowed to just the reporting problem. And so  
18 remember I mentioned I wonder if there is a mission problem, communication, or a process problem. And  
19 I don't know. And before I could comment intelligently or try to brainstorm ways to improve, I think I  
20 would need more information about the shortcomings of each of those categories. So, how do we gather  
21 that information? Can we-- I mean, oh God, surveys. I hate surveys. But I mean, some way of reaching  
22 out to, let's say, I guess you could start with people who've already done some kind of reporting to ask  
23 them. "What's your understanding of why you did this and the importance of it? Was the communication  
24 regarding this process appropriate, and how exactly did the process work for you?" I mean, then you  
25 could have some immediate feedback from the people who are using it that might help us improve the

1 final quality of reports that we do receive. So, that's one thing. I would need more information about each  
2 of those things. The people who were to use the system to find out if there's ways-- What do they think--?  
3 Because what do they think, that needs to be improved, because we can't assume that we know what they  
4 need, right? We have to ask them what, from their experience, would be beneficial of any changes.

5 And then, the other thing I want to reiterate what a couple of people have already said, the  
6 question that you originally asked us is: What steps should be taken to get the people to report better or  
7 give a higher quality report? That should be reframed as: What can the FDA do to make it easy for them  
8 to give us full or quality reports? It's about us making it easy for them to give us the information that we  
9 need to make our decisions. I wonder-- We're talking about education and messaging like PSAs about the  
10 importance and what the process is, and how the final data is used to benefit the health of the public.  
11 Again, it's a money suck, but can we have some kind of formal PSA, like video or advertisement,  
12 something so that in general people understand that there is this postmarketing surveillance going on and  
13 it's very important for all of us to participate. Having been a parent, giving my child experimental or  
14 sometimes experimental, but other drugs at home during the course of his cancer treatment, parents really  
15 want to participate. Anything that we can do to be contributing to the treatment and care of our child,  
16 we're going to do it. And in fact, knowing that there's a way to report the information I have, because I'm  
17 the expert on my child when he's at home, so that is actually not going to be an onerous burden on  
18 parents, and in fact they would welcome it. It's just that we have to come up with a way to make it easy  
19 for them.

20 I think-- Again, this is education and messaging for each of the stakeholders as part of this  
21 reporting process. That each are going to require a different kind of messaging and a different kind of  
22 education, but it would be worthwhile to do it. And then finally, a big plus one for me on the denominator  
23 that would really help me understand the context of the decisions and the numbers that we're looking at,  
24 and the decisions we're making based on those numbers.

25 Dr. Fischer: Thank you. Dr. Nelson.

1                   Dr. Nelson:     Thanks. I just have one thing to add. I think one concern I have is figuring out, as  
2     people are talking about the flow of information, what are the potential barriers around confidentiality?  
3     So, for example, sponsors don't have access to patients and consumers unless they provide that  
4     information directly and get permission. I mean, you can't call a physician-- A physician is going to have  
5     to get permission to share information, et cetera. I just ask us to think through-- I mean, if it's all  
6     anonymized, that's fine, but if it's trying to follow up with insufficient information, then it can't be fully  
7     anonymized. I think we just need to think through the confidentiality issues that might arise and make  
8     sure we have solutions for that. It would be my only contribution to this discussion.

9                   Dr. Fischer:    Thank you, Dr. Nelson. And Dr. Sayej.

10                  Dr. Sayej:     Hi, everyone. Well, the advantage of being last is that everyone has already said  
11     everything I wanted to say. I kind of looked at this and broke it into three separate things. Who should be  
12     reporting? Where is this going to be reported? And who's going to follow up on it? Which is everything  
13     that everyone mentioned. I think patients, doctors, even pharmacists can be the people reporting this,  
14     obviously. If a patient picks up medication from a pharmacy, I think the pharmacy should be providing  
15     them with a reporting card. "If you have an adverse event, this is the number you call" or "This is the  
16     website that you go to report the adverse event," and that should go back to the pharmacy and to the  
17     doctor eventually to follow up on it and from whatever the reporting number or the pharmacist should go  
18     to the FDA, and the FDA can be linked to the doctors or the pharmacist.

19                  I think there's a process that can be put together there to ensure that there is a full circle in terms  
20     of where these reports are going. And then, once the report has been filed, the FDA can follow up with  
21     the people involved in this. The patient may be-- If they are reporting it, that means they want to be, most  
22     likely they want to be followed up with. They don't want to just be ignored about what happened to them.  
23     You can follow up with the primary care doctor and the primary care doctor can gain more information  
24     from the patient if needed. And that can protect the patient's privacy. So, I was recently trying to put an  
25     adverse event report on MedWatch, and it doesn't ask for patient name, it just asks for the date of birth

1 and the age, and protected information. So, it does protect the patient's confidentiality, but it doesn't really  
2 give you an option to who's going to follow up on this or how is this going to be followed up with.

3 And lastly, in the electronic medical records, as somebody mentioned something about EMR and  
4 how this can be linked to the EMR. So, if it gets reported to the doctor no matter which EMR they have, it  
5 would be nice to have something built in where you can just automatically report an adverse event and  
6 that kind of triggers a flag with the FDA or with the company that produce the medication, although that's  
7 hard to track because of the generics and other medications, but it is just something that will trigger a flag  
8 that an adverse event has happened and this needs to be followed.

9 So, I think there's a lot of work to do in terms of ensuring this happens. I have a patient right now  
10 that we recently admitted with a rare side effect from a class of medications that we're trying to report  
11 this. And in the hospital, honestly, nobody even thought about it until I mentioned something about it. So,  
12 it's not a very common thing that people kind of say, "Oh yeah, adverse event, we need to report it." It  
13 doesn't come to their mind. So, I think educating providers, educating patients, educating pharmacists,  
14 educating hospitals, I think it's very important in terms of really getting a lot of these reported cases.  
15 That's it. Thank you.

16 Dr. Fischer: Thank you so much. I'm going to just attempt to summarize all these amazing  
17 thoughts and ideas here. And then, Dr. Juul, we'll get to your question or comment in just a second.

18 Based on the information you guys have all just shared, I separated this into a few different large  
19 categories here. The first is the form as a place for potential improvement. Items like, can it be tracked by  
20 hospital systems or attached to the adverse events programs that hospital systems already have? Is there a  
21 way to organize it and make it a little bit smarter such that it is less onerous on the person putting it in, but  
22 also characterizes the most important data? Would there be a way to tier that form such that events that  
23 were high harm events would be flagged and require more information when putting them in? And there  
24 were a lot of other good ideas about the form, which, just my 2 cents, perhaps there's an opportunity here

1 for the FDA to work with some or all of this Committee to review the form itself or potentially use a  
2 survey as someone mentioned to highlight how that might be improved.

3 The second category that came up was parent education and participation, and provider  
4 education. There were notes about how we can educate people with PSAs, get the providers more  
5 involved. There were comments about how parents might be able to participate a little bit better in  
6 conjunction with their physician provider and other ways that we might be able to educate the public on  
7 this. My additional comments on that subject, I do think that we're missing out on some non-physician  
8 reporting, such as our advanced practice colleagues, our trainees in particular. Residency education might  
9 be a standardized place to introduce some of this. And then, opportunities for other non-physician  
10 providers such as respiratory therapists, nurses, education along those fronts.

11 Moving on to the third topic, which was the EMR. A lot of great comments about how we might  
12 be able to integrate the EMR into this. That would also benefit us in having more real time reporting. It  
13 might be able to pull in more detail. Someone mentioned some smart tools specifically in the program  
14 Epic. My only additional comment to that is that the FDA may have immediate access to the VA system  
15 and recognizing that this is probably an issue far beyond just us here in pediatrics, whether or not the VA  
16 might be a place to trial an EMR coordination across the FDA and the EMR.

17 The fourth category that we all were speaking about was the accuracy of data. And I think this is  
18 probably the most complex one to address, but a lot of great ideas about how to improve the denominator,  
19 which has come up at multiple meetings that we've had for the PAC. Ideas included, tying that to  
20 prescriptions and hospital orders, how a pharmacy might participate in that as well. And so, I think that's  
21 another really great topic of discussion.

22 The only one addition I'll make on top of these categories is very specific to medical devices, and  
23 that is the universal tags, the UDIs that are now on all medical devices that label each medical device. I  
24 know that the FDA has a very robust system for tracking those. My impression as a provider is that  
25 hospitals do not have great infrastructure for tracking what happens to those on their end. And I certainly

1 don't think most hospitals are using that to track adverse events, but that might be another opportunity for  
2 hospitals to learn how to workflow a UDI, the badge that each medical device has, how they can track  
3 that and tag for adverse events. I certainly think that many institutions could benefit from education on  
4 how to implement that seamlessly into their current workflow.

5 So, that's the summary. Feel free to put your hands up and we can now have an open discussion  
6 on all of these things. Dr. Juul, go ahead.

7 Dr. Juul: Yeah, I was just going to-- So, at the risk of being booed out of the room,  
8 physicians at hospitals are required to do many different educational modules and having one about FDA  
9 reporting would serve to educate all prescribers at a given hospital. So, we're required to do stuff on  
10 asbestos and universal precautions, and opioid use, et cetera. So, we could add one about FDA reporting  
11 and the importance of it.

12 Dr. Fischer: Thanks, Dr. Juul. Any other comments, questions, thoughts about that particular  
13 subject before we move on? Okay.

14 Dr. McMillan: Actually, yes, I do. I'm sorry, I'm late finding my right button. So, related to that,  
15 if for example-- I don't know if this is exactly what she was talking about, but if for example, there was a  
16 ground round, a grand round presentation about FDA reporting or something like that, I always think that  
17 if you bring in a patient story as part of the information that you're giving to make your point, it's going to  
18 be a stickier message. I would promote the idea of using some personal narratives that illustrate these  
19 points, even when trying to educate the healthcare providers. It sticks a little better and I think it puts a  
20 human face on what we're trying to do, which is to improve the outcome for the general public as they  
21 take these drugs.

22 Dr. Fischer: Thank you, Dr. McMillan. I think that's a really practical suggestion and patient  
23 stories are a great way to help make these types of training a little less dry. I'll just add that our residents  
24 go through monthly training on how to be doctors in the world, and to me, they're the most flexible in  
25 their ability to pivot and learn new things. And so, I would suggest that this training should start either in

1 medical school or residency while they're fresh and still able to learn new tricks. Dr. Flick, I think you  
2 were next. Go ahead.

3 Dr. Flick: Thank you. My previous comments, and thanks Jenny, for your reminder about  
4 Epic. So, I used Epic as an example, not as the sole potential. All of us live in a world where we have  
5 event reporting systems in our hospitals and we are encouraged internally to report events regardless of  
6 the type of event. Imagine that when you reported that event, that there was a query to report this to the  
7 FDA. So, is this reportable to the FDA? If that is clicked or chosen, then your EMR can fill out most of  
8 the form for you. All the background information that the FDA needs that they don't get in the current  
9 system would be automatically populated into that form and then it would go to the FDA. And then,  
10 imagine the next step is that the FDA could take that information and query Cosmos, Cosmos or whatever  
11 it is, it's got 300 million patients in it, and look for similar events. What we really, I think need to do is  
12 move beyond forms and into an electronic environment, an AI-driven environment that allows us to think  
13 a little bit bigger than we have in the past. Thank you.

14 Dr. Fischer: That's great, Dr. Flick. Thank you. Dr. Krug, I think you were next.

15 Dr. Krug: Hi, Steve Krug here. I don't think we would be booing the first commenter out of  
16 the room because while I think the world has brought many, many more requirements for healthcare  
17 providers, this is important because here we're trying to encourage the expansion of therapeutics and  
18 devices for use in children, but we of course want to do that safely and we have perhaps a flawed  
19 reporting mechanism. That's really problematic. I absolutely agree that we can improve education on this.  
20 I just literally finished my semi-annual, so we do it at least twice a year until somebody introduces  
21 something new that we have to do right away. And then the current group of things I looked at was how  
22 to use a fire extinguisher, which I've seen at least five or six times before, and it's very important  
23 information, but this is also important. I think that there's a clear partnership opportunity between the  
24 FDA, sponsors and healthcare providers here to improve our awareness.

1       I also agree that we should be educating trainees. The problem there is that it's really hard to get  
2       your foot in that door because most residency program directors will tell you that there's no space or time  
3       to teach them something else, but this is a fundamental perpetuating behavior, therefore it needs to be part  
4       of their education. I think we can do so much more and help people to understand how this works. I love  
5       the idea of Dr. Flick about having a checkbox there that would say, "Do you think the FDA ought to  
6       know about this?" And if checked "yes," maybe there are a few more questions. Again, I think anything  
7       we can do to go from the observer to the regulatory agency in a more direct manner will be useful. Thank  
8       you.

9           Dr. Fischer:    Thank you, Dr. Krug. I think speaking for the FDA, these types of practical  
10       solutions are exactly what they were hoping to generate with this conversation. So, keep them going. I  
11       think Dr. Sayej, you were next.

12          Dr. Sayej:    Yes. Just one thing to add. I think-- I usually, every couple of years, I usually talk  
13       to the residents about things like this, and I've given some talks to the residency programs, but if there's a  
14       way that the FDA can put together a presenter's slide set that doctors can actually use to do ground rounds  
15       at different hospitals or at their own hospital, I think people will be interested in that. I definitely know  
16       when we're talking about new medications or drug approvals and things like that, our Research  
17       Department is always interested in hearing about FDA processes and outcomes. So, if there's a slide set, I,  
18       one, would be definitely willing to do grand rounds on it to my hospital. But I think we need to deliver the  
19       right message. And I think that should come from the FDA, not from me.

20          Dr. Fischer:    Thank you. And I think that's a really good point that this group might be a  
21       resource to the FDA as we are already very engaged and interested in this process, and we all represent a  
22       variety of different institutions. And so, we might be a good opportunity to try some things out and pilot  
23       things at our own institution. Not to volunteer everyone, but it's good to have a really involved group to  
24       begin with when you start a project like this. Dr. Johnson, I think you were next.

1                   Dr. Johnson:    Thank you. I just wanted to go back to one of the earlier comments in this section  
2   about [Indiscernible - 2:00:04] education, and it's seared into my brain that 18 inches from a sprinkler  
3   head, but it's maybe not as well seared into my brain about the mechanism for reporting. But we can't  
4   forget all of the, especially in the era of hospitalist medicine, all of the general pediatric clinics and family  
5   medicine clinics where they're not necessarily affiliated with an academic medical center and don't have  
6   to do mandatory training, or people who are maybe hired as in a consultant non-employee capacity who  
7   also don't do mandatory training, and recognizing potentially some of the-- How many hospital systems  
8   and there are other competing interests. Could the FDA or should the FDA partner with AAP, right? So,  
9   having sort of things at meetings or does the FDA have a booth at the AAP meeting where they provide  
10   information about reporting? Is it a board competency on maintenance of certification that do you  
11   understand adverse drug reporting? If your patient has an outcome, do you know what to do? They may  
12   or may not agree, but it wouldn't be hard to add sort of one question into the overall cycle or add one sort  
13   of sub bullet into a competency for clinicians, and also remembering how we're going to reach APRNs,  
14   PAs and nurse practitioners who are also seeing a lot of patients these days.

15                  Dr. Fischer:    Thank you, Dr. Johnson. I just want to highlight one thing you said, which was  
16   about involving our physician or provider organization such as the AAP and others. That's a second or  
17   third time that's come up. So, just want to point that out as a central suggestion that we've been circling  
18   around here as a group. Dr. Anne, I think you were next.

19                  Dr. Anne:        Yep. Just a quick comment. I am a Pediatric Residency Program Director and we  
20   actually require several AMA modules to be done. These are mandatory, including sleep information, on  
21   sleep professionalism, so on and so forth. I don't see why this could not be an opportunity to develop a  
22   module, whether it be through the AAP or the AMA, whatever it is, and go ahead and implement that as a  
23   mandatory exercise for the residents. And going back to what Dr. Krug and Dr. Sayej were saying, Dr.  
24   Johnson, I think that could be a grassroots movement.

1                   Dr. Fischer:    Thank you, Dr. Anne. And just highlighting the suggestion that someone else had  
2   about bringing a patient story in so it's more relevant in the eyes of the beholder, I think is a great idea.  
3   Dr. Guillory, I think you were next.

4                   Dr. Guillory:    I think most of what I was saying from education. I really appreciate the  
5   partnering with, for example, the AAP. But one other thing I want to mention, and it may be maybe a  
6   little bit out of this arena, but for me to get a medical license every couple of years at a state level, a  
7   medical board license, you have to have so many CME requirements. And I remember having to do one  
8   specifically on drug trafficking. So, having something like this that's a requirement, you have to take it. It  
9   may be something that you may want to just add on to the list of things that we already have mentioned  
10   that I appreciate.

11                  Dr. Fischer:    Thank you, Dr. Guillory. Great suggestion. Dr. Flick.

12                  Dr. Flick:        Thanks. Just to-- I want to circle back and address or comment on the need for a  
13   denominator, which has been mentioned on a couple of occasions. Passive reporting systems never have  
14   denominators except in the situation where you have a passive reporting system within a captured  
15   population, which would apply to something like Cosmo or Epic, or Cerner or one of these systems where  
16   if you report in that system, you know the population in which that report occurred and could then query  
17   into determine a denominator. And I think all of us here recognize how important it is to be able to  
18   determine incidents and prevalence of these kinds of events. So, just to make sure that we commented on  
19   the denominator part. Thank you.

20                  Dr. Fischer:    Oh, sorry. I was talking to myself here. I was just saying that I think the  
21   denominator has now come up a few times in this discussion. So, just highlighting that we all feel the  
22   accuracy of information relies on being able to understand the frequency of events out of total events. Dr.  
23   McMillan, go ahead.

24                  Dr. McMillan:  I want to sort of light a fire under all of us. Postmarketing surveillance relies on  
25   good reporting and it is a way that we are protecting the health of the public. So, you've got-- As it was

1 explained in the beginning of our meeting, there's this beautiful system that ends with imperfect reports.  
2 And I would say we should reframe this and refocus. We need to have good reports at the beginning of  
3 your beautiful system so that we can do what the beautiful system is designed to do. So, the conversation  
4 we're having right now about how we can get a better quality of report at the end is very, very important.  
5 And it is worth the investment to try these different things to improve the quality of the report, which is  
6 only going to improve the entire system. So, I just wanted to reframe it a little bit. The reports are so  
7 important that they should be the primary focus and then, they will feed into the big beautiful system that  
8 we have behind the scenes. And also, I just wanted to say I volunteer for anything that you need. If you  
9 need help, just let me know. As a parent, as a parent, you-

10 Dr. Fischer: -You've been recorded officially saying that now.

11 Dr. McMillan: Oh, shame on me. But I mean, as a parent who's been through a life-threatening  
12 illness with her child, I want good reports, right? So that my child's future and the future of other children  
13 are going to be better safeguarded.

14 Dr. Fischer: That's fantastic. Thank you. There's been a lot of discussion around accuracy of  
15 data and some of that can be improved with some changes to forms and things like that, and others will  
16 need to be education based. But I think many people in this group have suggested that the long-term plan  
17 here really needs to be a better integration with systems that already exist in the hospitals, including the  
18 EMR and adverse event reporting that are already happening there, possibly in more detail than the FDA  
19 is getting. I'll just add my 2 cents to that in that someone earlier suggested that a report that is submitted  
20 by industry on behalf of a provider that's reporting to industry, I would love to get a copy of that because I  
21 would love to trust but verify that my comments to industry are in fact getting relayed to the FDA.

22 One thing we have not covered in great detail in this discussion, which has been really fantastic,  
23 thank you everyone for your great participation here. I just want to make sure that we're touching on the  
24 parent part of this and if people have ideas and thoughts about how we can both better educate caregivers

1 on their important role in this and also ensure that they are able to participate and have a clear way to do  
2 so. Any comments on that? Dr. McMillan, go ahead.

3 Dr. McMillan: All right. So speaking from personal experience and also I worked with almost a  
4 thousand families whose children had brain tumors. So, I've got a lot of background information in my  
5 head. When parents are first dealing with the health issue with their child, there's a lot of information that  
6 is being thrown at them. But they need a tool, an educational tool that they can take home with them so  
7 they can look at it at another time when their brain is more able to process things. So, it's old school, but a  
8 brochure, a tactile-- Something they can put in their purse, their pocket, or have around on the table that  
9 doesn't require them to log in or go to a particular link. That is a good first line of education that explains  
10 what it is, how to do it, why it's important, and then maybe there's a website you can go to if you want  
11 more information, but I think something tactile is important.

12 Secondly, when parents are dealing with a significant or life-threatening illness, they really  
13 benefit from peer mentors, mentors who have been trained to help them come up with lists of questions  
14 that they should be asking their doctors or their research team. But mentor training is a good way to get  
15 important information to parents who are dealing with their children's issues. And those are my two  
16 thoughts.

17 Dr. Fischer: Thank you. Those are great. Dr. Krug. Did we lose you?

18 Dr. Krug: Sorry. Sorry. I'm technology challenged I guess. I work in an emergency  
19 department at a [Indiscernible - 02:09:50] children's hospital. And so, while I'm not the oncologist  
20 working with these families, I take care of a lot of families that present with acute care concerns. And I  
21 would-- This is a gross generalization, but I sort of see two extreme groups. There are these incredible  
22 super parents who apparently went to medical school, though they're not doctors, they're not nurses, yet  
23 they know everything and they have everything under control, and they understand the process in part  
24 because they've personally been involved in reporting of concerns, of a variety of concerns. Even those  
25 families I still think need a guide or support. And then, there are these families that are just overwhelmed

1 for a variety of reasons, including just life itself. But there may be health literacy issues, there may be  
2 language issues, there may be access to care issues, and those poor families are barely keeping up with the  
3 regimen if they're doing that at all. Expecting them to sort of be reliable reporters I think is challenging.

4 I'm hoping there's a best practice out there, somebody who has figured this out, because this is not  
5 unique to my institution or the place where I live because I think we need to provide better support to  
6 families. And this may then again, also fall upon the healthcare providers in the healthcare systems, but  
7 families see things that we don't see. And gosh, we need to see those things. We need a mechanism by  
8 which we can be aware of their collective experience because within that experience are things like  
9 adverse drug reactions that we never hear about. I'll stop there.

10 Dr. Fischer: Thank you, Dr. Krug. I think that's very well spoken. And just to tag onto that,  
11 one area that I think is a little tricky, but potentially important for us to address is adolescent reporting.  
12 Adolescents now interact with many drugs and devices on their own with minimal parental feedback or  
13 knowledge, frankly. Is there something unique that needs to happen when we talk about caregiver  
14 feedback? Is that a group that it's worthwhile to get information from or educate on? I'm thinking  
15 specifically of things like insulin pumps, children who are giving themselves their own injections,  
16 children on long-term medications. To your point, Dr. Krug, it may not be reporting things like rashes or  
17 fatigue or other adverse events. So, is there a way to directly pull them into this conversation? Dr.  
18 Guillory, go ahead.

19 Dr. Guillory: The only comment I want to say about that is when we work with that in terms of  
20 working with parents and making sure we are not overburdening them, we really need to keep in mind  
21 that many parents have a fear of the medical system in terms of if they report something, will that affect  
22 the care they receive? So, as we are coming up with whatever we come up with, make sure that we,  
23 whatever it is, address that, especially in different vulnerable populations.

24 Dr. Fischer: That's a great point Dr. Guillory. Thank you. Any other comments on caregivers  
25 or the patients themselves interacting with the safety monitoring system? Any other general comments

1 about anything we've discussed today? Any final thoughts for the FDA? [I'll] give you one last second  
2 here to contemplate. Okay. I don't see any other hands up, so I think-- This has been a fantastic  
3 discussion. I really appreciate everyone's participation here and really outside of the box thinking. We're  
4 going to go ahead and break for lunch now. Lunch will end at 1:15 Eastern Time, so you have a little  
5 break here. And please, Panel members remember that there should be no communication of the topics  
6 that we've discussed during the break amongst yourselves or with any members of the audience. And we'll  
7 see you again at 1:15. Thank you.

8 *Open Public Hearing*

9 Dr. Fischer: Okay. Welcome back, everyone. We can go ahead to the next slide. All right. At  
10 this time, we're going to open the public hearing session. Welcome to the Open Public Hearing. We'll  
11 have you state your name and your affiliation, if relevant to this meeting. The Food and Drug  
12 Administration believes that the agency and the public benefit from a transparent process that helps  
13 ensure that FDA decisions are well-informed by the advice and information FDA receives from its  
14 Advisory Committees. To ensure such transparency at the Open Public Hearing session of the Advisory  
15 Committee meeting, FDA believes that it is important to understand the context of an individual's  
16 presentation. For this reason, the FDA encourages you, the Open Public Hearing speaker, at the beginning  
17 of your written or oral statement to advise the Committee of any financial relationship that you may have  
18 with the sponsor, its product or if known, any of its direct competitors. For example, this financial  
19 information may include a company or group's payment for your travel, lodging, or other expenses in  
20 connection with your attendance here at the meeting or grant money that your organization receives from  
21 the sponsor or competitor. Likewise, the FDA encourages you at the beginning of your statement to  
22 advise the Committee if you do not have any financial relationships to which you can add to the record. If  
23 you choose not to address this issue of financial relationships at the beginning of your statement, it will  
24 not preclude you from speaking.

1        The FDA and this Committee place great importance on the Open Public Hearing process. The  
2    insights and comments provided can help the agency and this Committee in their consideration of the  
3    issues before them. That said, in many instances and for many topics, there will be a variety of opinions.  
4        One of our goals today is for this Open Public Hearing to be conducted in a fair and open way where  
5    every participant is listened to carefully and treated with dignity, courtesy, and respect. Therefore, please  
6    only speak when you're recognized by the chairperson. Thank you for your cooperation and at this time, if  
7    any member of the public who did not register in advance to speak at today's Open Public Hearing  
8    session, would like to speak today, please email PAC [PAC@fda.hhs.gov](mailto:PAC@fda.hhs.gov).

9        Speaker number one, your audio looks like it's connected now, so you can go ahead and introduce  
10   yourself. Please, go ahead and state your name and the organization that you're representing for the record  
11   before speaking.

12        Ms. Merkle:    Hi, my name is Katie Merkle. I don't have an organization. I am a mom and a  
13   former pediatric recipient of a deep brain stimulator. Hopefully everybody can hear me.

14        Dr. Fischer:   I hear you. Great. Go ahead.

15        Ms. Merkle:    Okay, so I was-- As I said, I was a former recipient of this device and I wanted to  
16   share what my long-term story looks like with the Committee here. We warn pregnant women about the  
17   dangers of medications and deli meats, but no one warns about the risk of implanted medical devices. Not  
18   the manufacturer, not the FDA and not the medical system. At no point before consenting to the surgery,  
19   nor at any time during the 17 years I lived with this full device implanted, was I ever warned that it could  
20   break down, disintegrate and cause irreversible harm.

21        Since 2003, the DBS system for dystonia has been approved for pediatric use. During that time,  
22   nearly every major insurer, clinic and manufacturer have consistently described it as reversible,  
23   removable, and safe for long-term implantation despite the absence of any long-term safety studies or  
24   material data. Meanwhile, FDA reports have documented installation loss, corrosion, and disintegration of  
25   the leads implanted in patients.

1 I was 21 when I tested those insurances with decades of presumed device retention ahead of me  
2 until the system began to fail, and in 2022 an explant was attempted. Next slide. During surgery, it was  
3 discovered that at least one intracranial lead had disintegrated leaving fragments permanently embedded  
4 in my brain and damage that cannot be monitored because MRI, the only imaging capable of detecting it,  
5 is now permanently foreclosed. The second lead remains fully implanted with no way to assess and no  
6 safe plan for exit. This has left me and my physicians in a diagnostic void. I live with a permanent  
7 neurological injury and presumed toxic inflammatory that cannot be mapped, monitored, or fully  
8 understood. And the consequences extend beyond the patient. I carried a pregnancy while the device was  
9 presumed to be breaking down and yet there is no data on fetal exposure, no data on what it means for a  
10 child to be conceived, carried, and breastfed while an implanted device is deteriorating inside the mother's  
11 body. There are no established safe limits for these byproducts in neural tissue, much less fetal tissue, and  
12 there is no way to assure me as a mother that all this did not play a role in my daughter's delays. The  
13 manufacturer has remained silent and my FOIA request for this information still remains unanswered.  
14 Next slide.

15 The manufacturer has long known that its leads do degrade. Its own patents, testing, and  
16 regulatory recall history are established, yet the PMA required no degradation warning at all. The only  
17 required warning concerned material toxicity, and even that was never meaningfully disclosed. Next slide.

18 That warning appeared only in a patient post-op insert, something I never even saw until after  
19 2022 and I went desperately looking for answers. Next slide.

20 During that search, I found the FDA's own databases contain reports describing the same patterns  
21 of degradation, insulation loss, and corrosion, yet these were coded simply as malfunctions or breaks.  
22 Next slide.

23 The 2025 pediatric dataset added 261 new reported malfunctions after FDA review, which were  
24 mostly low-risk charging and battery issues. But even with those corrections, the data still only tells part  
25 of the story because-- Next slide. The reporting window keeps shrinking. Some years include implants

1 back to 2001. Others erased everything before 2005 and, as of this year, everything before 2010 is no  
2 longer displayed. So, long-term failures disappear when the timeframe changes and long-term pediatric  
3 implants disappear from view. Next slide.

4 Across from every dataset, lead degradation is absent, not tracked, not categorized, and  
5 effectively erased under label malfunction. To categorize this as a malfunction is a disservice to an entire  
6 premise of DBS. DBS safety depends on stable hardware. When the lead degrades, that safety model  
7 collapses. A degraded lead produces effects that cannot be seen, measured or monitored. And because  
8 degradation is not acknowledged as the risk, clinicians have no framework to identify or evaluate it. The  
9 dozens of doctors I've spoken with have only recognized it in the OR because there is no warning, no  
10 category, no pathway for this failure. These risks cannot be assessed when no one has ever acknowledged  
11 that the device can fail in the first place.

12 I would like to ask this Committee to recommend to the FDA and CDRH an open MAUDE and  
13 postmarket reports to independent review, to ask manufacturers to clean up the coding by cause and to  
14 require clearer preoperative disclosure of lead breakdown. Because if physicians are never warned that  
15 leads can degrade and no one studies what happens when they do, the record will remain silent. Not  
16 because no harm occurred, but because no one was trained to see it.

17 One clear warning and one honest phrase would have preserved my right to choose. Instead, that  
18 promise was reversible-- Was proved irreversible. This was not a small mistake. It was a failure of the  
19 product, the oversight and the protections that should have stood between any patient and irreversible  
20 harm. The fact that a mother with a brain injury and no regulatory training could piece this together from  
21 the public paper trail is not an indictment of anyone. It is a sign that the system itself needs clearer tools,  
22 better data, and more live voices helping to inform it. Medicine and innovation can change lives, but  
23 without full disclosure, consent means nothing, and when that silence stands, the FDA fails the people it  
24 was created to protect. Thank you for listening and I appreciate any action you can take to help correct  
25 this problem.

1                   Dr. Fischer:    Thank you very much for sharing your presentation with us, the Advisory  
2 Committee and the FDA. We all appreciate the effort you took to come here today and share your story  
3 and your information. Do we have any other public speakers for the Open Public Hearing? Okay. If not,  
4 then we will go ahead and close the Open Public Hearing. I would like to remind everyone that there is a  
5 public docket that members of the public have provided comments on for today's meeting topics. They're  
6 posted publicly and you can access them on the FDA website. No further comments. I'd like to call the  
7 Open Public Hearing to a close and we'll continue with today's meeting agenda and transition to the  
8 discussion about pediatric-focused postmarket safety reviews completed by the Center for Devices and  
9 Radiological Health represented by Dr. George Van Hare. Go ahead, Dr. Van Hare.

10                 ***Listing of products evaluated in the pediatric-focused postmarket safety reviews completed by***  
11                 ***the Center for Devices and Radiological Health (CDRH)***

12                 Dr. Van Hare:  Hi. Thank you, Dr. Fischer. For the record, my name is George Van Hare and I  
13 serve as a Medical Officer in the Office of Cardiovascular Devices at CDRH at FDA. I will now read a  
14 list of the CDRH regulated devices that are under discussion at today's meeting. First, there's the Enterra  
15 Therapy System. Second, there's the Contegra Pulmonary Valved Conduit. Third, there's Pleximmune  
16 Diagnostic System. And fourth, there is the Sonalleve MR-guided high-intensity focused ultrasound (MR-  
17 HIFU) system. Thank you. I will now transition the meeting back to Dr. Fischer, the PAC Chairperson.

18                 ***Clarifying Questions***

19                 Dr. Fischer:    Thank you, Dr. Van Hare. We'll now proceed with clarifying questions from the  
20 PAC. Remind you to use the raise hand button so that I know to call on you. When called upon, please  
21 remember to state your name for the record before asking your question and if we can just stick to one  
22 question at a time, and then any follow-on questions related to that subject just to keep things organized  
23 for Dr. Van Hare. We'll begin with individuals who submitted questions to the agency in advance to the

1 meeting. As a reminder, members can only ask questions or comment on products that they are cleared to  
2 discuss. If you have been recused from a product, you're not allowed to discuss this at the meeting. And  
3 don't see anyone, so we can go ahead and open the floor to any clarifying questions for Dr. Van Hare.  
4 Okay, Dr. Van Hare, it does not appear that we have any questions for you. Since there are no questions,  
5 we can go ahead and proceed with voting for CDRH.

6 *Committee Discussion and Vote*

7 Dr. Fischer: The voting question is now being displayed on your screen. It states: FDA did  
8 not identify any new safety signals in the pediatric-focused postmarketing safety reviews conducted for  
9 the Pediatric Advisory Committee. As such, FDA recommends continuing routine ongoing postmarket  
10 safety monitoring for each of the CDRH products under discussion. Does the Pediatric Advisory  
11 Committee concur? The options for us are "Yes," "No," "Abstain," or "Recuse" and you can see the  
12 definitions there on the slide. Are there any questions specifically regarding the wording of this question?  
13 If so, go ahead and raise your hand and I'll call on you. Okay. I don't see any questions. I again remind  
14 public observers that while the meeting is open for public observation, public attendees may not  
15 participate except at the specific request of the panel. Do any members of the PAC have a comment or  
16 question that they would like to discuss with fellow Committee members before we begin voting? And  
17 Dr. Baker, I see your hand raised. Go ahead.

18 Dr. Baker: Yes. I was notified a few days ago that I am recused from voting on one of the  
19 items, so I'm just questioning how I would vote on all of them.

20 Ms. Srivastava: Hi, Dr. Baker. We'll cover that shortly. Just hold tight.

21 Dr. Fischer: Thanks for asking that, Dr. Baker. It'll become more clear when you see the  
22 voting tabulation. Any other questions? Okay, if there's no further discussion, we can go ahead now with  
23 the voting process. I'd like to add that after votes are collected, the vote will then be displayed on the  
24 screen and the Designated Federal Officer will read the vote from the screen into the record. PAC

1 members will then have the opportunity to summarize their votes into the record and state any reasoning  
2 behind your vote. The voting will now commence.

3 Dr. Fischer: Okay, sounds like we're ready to come back from break everyone. This is  
4 Gwenyth Fischer. We are ready to see the results if you could display them. And I will now turn the  
5 meeting over to the DFO.

6 Ms. Srivastava: Thank you, Dr. Fischer. This is Shivana Srivastava. For the voting question, does  
7 the Pediatric Advisory Committee concur with FDA's recommendation to continue routine ongoing  
8 postmarket safety monitoring for each of the CDRH products under discussion? The results are: For  
9 Enterra Therapy System, there are 12 yeses, zero nos, zero abstains, and one recused. For Contegra  
10 Pulmonary Valved Conduit, there are 12 yeses, zero nos, zero abstains, and one recused. For  
11 Pleximmune, there are 13 yeses, zero nos, zero abstains, and zero recusals. For Sonalleve MR-HIFU,  
12 there are 12 yeses, zero nos, zero abstains, and one recusal. Thank you.

13 Dr. Fischer: Thank you. Now that the voting has been completed, we can go down the  
14 meeting roster and have everyone who voted please state your name, what you voted, and if you want to,  
15 you're welcome to state the reason why you voted as you did into the record. If you see an error with  
16 anything on the screen, please correct it for the record verbally. We will start with Premchand Anne.

17 Dr. Anne: Yeah, this is Dr. Anne. I voted "Yes, I concur" for four of the devices. I have no  
18 comments.

19 Dr. Fischer: Thank you. Susan Baker.

20 Dr. Baker: This is Susan Baker. I voted yes for two of the devices and I recused myself from  
21 two.

22 Dr. Fischer: Thank you. David Callahan.

23 Dr. Callahan: This is David Callahan. I voted yes on each of the four devices.

24 Dr. Fischer: Thank you. Doug Diekema.

1 Dr. Diekema: This is Doug Diekema. I voted yes on all four devices.

2 Dr. Fischer: Randall Flick.

3 Dr. Flick: Randall Flick. I voted yes on all four.

4 Dr. Fischer: Charleta Guillory.

5 Dr. Guillory: I voted-- This is Charleta Guillory. I voted yes on all four.

6 Dr. Fischer: Thank you. Sarah Hoehn.

7 Dr. Hoehn: Sarah Hoehn. I voted yes on all four.

8 Dr. Fischer: Thank you. Liza-Marie Johnson.

9 Dr. Johnson: This is Liza-Marie Johnson. I voted yes on all four.

10 Dr. Fischer: Sandra Juul.

11 Dr. Juul: This is Sandra Juul. I voted yes on all four.

12 Dr. Fischer: Thank you. Steven Krug.

13 Dr. Krug: This is Steven Krug and I voted yes on all four devices. Thank you.

14 Dr. Fischer: Jennifer Lee-Summers.

15 Dr. Lee-Summers: This is Jennifer Lee-Summers. I voted yes on all four devices.

16 Dr. Fischer: Thank you. Gianna McMillan.

17 Dr. McMillan: This is Gianna McMillan. I voted yes on all four devices.

18 Dr. Fischer: And lastly, Wael Sayej.

19 Dr. Sayej: This is Wael Sayej. I voted “for” on all devices. I voted yes on all devices.

1        *Listing of products evaluated in the pediatric-focused postmarket safety reviews completed by*  
2                    *the Center for Biologics Evaluation and Research (CBER)*

3            Dr. Fischer:    Thank you. All right, thank you everybody. We can go ahead to the next slide.  
4            We're going to now transition over to a discussion about pediatric focus postmarket safety reviews  
5            completed by the Center for Biologics Evaluation and Research, and this will be represented by Dr. Craig  
6            Zinderman. Next slide, please. Go ahead, Dr. Zinderman.

7            Dr. Zinderman: Yeah, can you hear me?

8            Dr. Fischer:    Yes, we can hear you now. Thank you.

9            Dr. Zinderman: Okay, great. Thanks. I had an audio problem. Okay, so hi everybody. Good  
10          afternoon. My name is Craig Zinderman. I am the Associate Director for Medical Policy in CBER's  
11          Office of Biostatistics and Pharmacovigilance, and I'm going to be reading the list of products which  
12          we're reviewing for the meeting today. Quelimmune, Sevenfact and Vaxchora. And I'll turn the meeting  
13          over back to Dr. Fischer. Next slide, please.

14            *Clarifying Questions*

15            Dr. Fischer:    Great. Thank you, Dr. Zinderman. We now have an opportunity to ask clarifying  
16          questions from the FDA speaker, from the PAC. I'll remind you just to raise your hand and let us know  
17          who you are so I can call on you. As a reminder, members can only ask one question at a time or  
18          comment on products that they are clear to discuss. So, if you've been recused in this section, hold off on  
19          commenting please. I don't see any questions. I actually have one clarifying question for you, Dr.  
20          Zinderman. Regarding Sevenfact, which is an adolescent medication that I'm aware of that many people  
21          have prescribed off-label as is very common in pediatrics below the age of 12. How is that data used in  
22          reports and is it mandated for industry to provide that data if it's out of scope? Or-- I'm just curious what  
23          the process is for that.

1           Dr. Zinderman: I know good questions. It's not out of scope. So, the requirement to report  
2   adverse events applies to the sponsors, the manufacturers, for both serious and non-serious reports, and it  
3   doesn't matter what the use was, if it was off-label use or on-label use. They'll of course note in their  
4   report and their metric codes that we assigned to off-label use that the use is not consistent with the  
5   labeled indication, but the requirements still apply, even if it's off-label use. As far as the review on our  
6   end, those reports get included in the standard review that we do for all products on an ongoing basis.  
7   Again, regardless of the indication, it includes off-label use. So, we use the same procedures for weekly  
8   review of expedited reports and other direct reports, those reports that come directly from healthcare  
9   providers or consumers as they come in on an ongoing basis. And then, we also do periodic more in-depth  
10   reviews for each product. And so, that includes both on-label and off-label indications, both get  
11   considered when we do safety signal evaluation and then take regulatory actions in response to safety  
12   issues. We can also do that for off-label uses if there's a significant safety risk. We can do  
13   communications and other regulatory actions if appropriate, if we know that people are using products in  
14   a manner that's not consistent with the indication.

15           Dr. Fischer:   Great, thank you for that. Dr. Hoehn, did you also have a question?

16           Dr. Hoehn:   I had a question about the SCD, the Selective Cytopheretic Device. Is this the  
17   right time to ask that question? Yes?

18           Dr. Zinderman: Yes.

19           Dr. Hoehn:   Okay, perfect. Sorry, Sarah Hoehn. Yeah, I had a question about how this is  
20   similar or different to plasmapheresis and if there's any comparison both in how it's tolerated  
21   hemodynamically or just sort of what the potential benefit would be? Because reading through it, it was  
22   hard for me to see how it would differ from currently tolerated plasmapheresis.

23           Dr. Zinderman: Yeah, so what's different about it from plasmapheresis is the selective binding of  
24   activated neutrophils and leukocytes. So, if you look at plasmapheresis, you're taking the plasma portion  
25   of-- Or plasma exchange therapy really. You're taking the plasma portion of blood and separating that

1 from the cellular components of the blood, and then you're returning cellular components back to the  
2 patient along with the crystalloid or colloid, and then-- So the plasma component and everything that's in  
3 it gets removed and doesn't get returned to the patient. And so, there are toxins, there are mediators,  
4 sometimes it's antibodies that you want to remove in that plasma portion, but that procedure is not  
5 selective, right? You're removing the whole plasma portion and replacing it with some sort of crystalloid  
6 and just the cellular components. Similarly, for leukapheresis you're removing the white blood cells from  
7 the blood usually because there's too much burden, like in the case of leukemia and there's too many--  
8 Too much of a load of white blood cells and so you need to lower the number or you might want those  
9 white blood cells, you might want to extract them for further therapy like in the case of CAR T treatments  
10 where you're going to use those white blood cells for an autologous treatment after some further  
11 modification. But again, it's not selective for activated leukocytes, you're just removing the leukocytes for  
12 whatever you want. The reason that you want to do it.

13 In this case, this device is used to treat patients with acute kidney injury or pediatric patients with  
14 acute kidney injury and sepsis, and these patients can experience cytokine storm, hyper inflammation  
15 from activated neutrophils that release cytokines and other pro-inflammatory mediators, and that worsens  
16 outcomes in sepsis needing-- Sepsis with acute kidney injury that needs continuous renal replacement  
17 therapy. So, the cartridge part of the device has a filter-- Not a filter, a membrane that is designed to bind  
18 the most activated monocytes and neutrophils, so it removes them from the bloodstream and lowers the  
19 amount of inflammatory load and then resulting inflammatory mediators that these activated monocytes  
20 and leukocytes [Indiscernible - 00:46:56] create. So, you lower that inflammation and that's the intent to  
21 improve outcomes by that, you know, lowering that inflammation. So, that's the main difference.

22 As far as the hemodynamic load, we're not aware of differences in the hemodynamic load  
23 increases or decreases based on using this product compared to other procedures, and we haven't had any  
24 reports of that.

1                   Dr. Hoehn:    Thank you. My apologies if it was too basic, but that was very helpful, so thank  
2                   you.

3                   Dr. Fischer:   Dr. Flick, do you have a question?

4                   Dr. Flick:      Yeah, just a quick one. I did notice on page three, there's a comment that the  
5                   blood flow path in the SCD-PED is non-sterile. Am I confused there?

6                   Dr. Zinderman: That is true. There is a part of the blood flow path here that's not sterile with this  
7                   device. I can ask our colleagues from the Office of Therapeutic Products, I'm not sure if they're on. Dr.  
8                   Tariq. If she's on, she can comment on that.

9                   Dr. Tariq:      Yes. Hi, good afternoon. Can you hear me okay?

10                  Dr. Zinderman: Yes.

11                  Dr. Tariq:      Okay, great. Yes, there we go. So, the SCD device is basically a component of  
12                  three parts. We've talked about the membrane, there's a cartridge and then there's one other design  
13                  element. So, specifically the non-sterile part is the cartridge portion. While we have the tubing and the  
14                  connectors and everything else, the synthetic portion of the cartridge, which is usually an off the shelf  
15                  kind of hemodialysis filter cartridge that is actually manufactured by Fresenius. So, we basically use the  
16                  same portion or the segment that Fresenius would often use in their thing, and it's connected to the CRT  
17                  machine that these pediatric patients are on. Due to the non-sterile blood flow path of the SCD-P  
18                  cartridge, the blood flow is in the device. Generally, we are monitoring for infections, but I will tell you in  
19                  terms of the annual reports, we have not seen anything in terms of infection being a problem. I hope that  
20                  helps clarify. Yeah.

21                  Dr. Flick:      I think so. It just struck me as a little odd and I wondered about the surveillance  
22                  for infection, but thank you.

1                   Dr. Tariq:     Yeah, and just to add to the surveillance, we are actively monitoring for the  
2 surveillance on an annual report basis, but also if there's anything that happens immediately, they are to  
3 report it to the agency. So, thank you. Thank you for the question.

4                   Dr. Fischer:    Great. Any other clarifying questions for Dr. Zinderman? Okay, great. We can  
5 move on. Oh, Dr. Guillory, go ahead. Sorry about that.

6                   Dr. Guillory:    Yes, could we go back one slide please? My question, and I probably should  
7 know this. In terms of the cholera vaccine, do you monitor that outside the U.S. at all? Because I know  
8 there've been cholera outbreaks and I'm just trying to find out if that's used outside the U.S. and do we  
9 collect any of that data? Thank you.

10                  Dr. Zinderman: Okay, so I don't recall offhand if the product is used outside-- If this product, this  
11 specific cholera vaccine is approved outside the U.S.. There are other cholera vaccines that are approved  
12 outside the U.S. This is the only cholera vaccine that's approved in the U.S. We do monitor any adverse  
13 event reports that we received in any-- Regardless of use, regardless of country. And again, just like the  
14 on-label vs. off-label discussion, it's the same thing. Regardless of where those events occurred, they  
15 would still have to be reported. If it's a serious and it's an unlabeled event, it still has to be reported to the  
16 FDA by the manufacturer within 15 days. And so, those would come into us, into VAERS and into FDA  
17 and CDC in the same adverse event reporting stream that all of our other vaccine reports get. And we look  
18 at all of the serious reports on a weekly basis. We do some screening of each report on a weekly basis and  
19 then again, a more in-depth periodic review-- Periodically for each vaccine. And that would include U.S.,  
20 and foreign reports.

21                  For VAERS itself reporting by healthcare providers and by doctors-- Sorry, healthcare providers  
22 and consumers that-- You can report to VAERS from a different country so we can get foreign reports,  
23 but generally we talked in the morning session about the difficulties with reporting and having people  
24 being interested in reporting and aware of reporting. We have troubles here. Having reporting in the U.S.,

1 reporting from outside the U.S. is of course far less and it's just a tiny fraction of the VAERS database in  
2 terms of non-manufacturer reports.

3 Dr. Fischer: Thank you, Dr. Guillory. Other questions for Dr. Zinderman about the biologics?  
4 Okay, now I think we can move to the next slide and we'll go ahead and proceed with voting for CBER  
5 just like we did for CDRH.

6 *Committee Discussion and Vote*

7 Dr. Fischer: The voting question is displayed on the screen. It states: FDA did not identify  
8 any new safety signals in the pediatric-focused postmarketing safety reviews conducted for the Pediatric  
9 Advisory Committee. As such, FDA recommends continuing routine, ongoing postmarket safety  
10 monitoring of each of the CBER products that we were just discussing. The question for you: Does the  
11 Pediatric Advisory Committee concur? Our options are "Yes," "No," "Abstain" or "Recused." Are there  
12 any questions regarding specifically the wording of that question? If so, go ahead and raise your hand  
13 now.

14 I don't see any questions, so we can go ahead and I'm just going to remind public observers that  
15 while the meeting is open for public observation, public attendees may not participate except at the  
16 specific request of the panel. Do any members of the PAC have a comment or question that they would  
17 like to discuss with fellow Committee members before we begin voting? Okay. I don't see any hands up  
18 so we can continue. We will go ahead now with the voting process. After the votes are collected, the vote  
19 will then be displayed on the screen just like last time, and the Designated Federal Officer will read the  
20 vote from the screen into the record. PAC members will then have the opportunity to summarize their  
21 votes into the record and state any reasoning behind your vote. The voting can now commence.

22 Dr. Fischer: Welcome back everyone. This is Gwenyth Fischer and we are ready to see the  
23 results if they could go ahead and be displayed. I will now turn the meeting over to our DFO.

1 Ms. Srivastava: Thank you, Dr. Fischer. This is Shavana Srivastava. For the voting question, does  
2 the Pediatric Advisory Committee concur with FDA's recommendation to continue routine ongoing  
3 postmarket safety monitoring for each of the CBER products under discussion? The results are: There are  
4 12 yeses for Quelimmune, zero nos, zero abstains, and one recusal. For Sevenfact. There are 12 yeses,  
5 zero nos, zero abstains, and one recusal. For Vaxchora, there are 13 yeses, zero nos, zero abstains, and  
6 zero recusals. Thank you.

7 Dr. Fischer: Thank you. Now that voting for CBER has been completed, we can go down the  
8 meeting roster and have everyone who voted, please state your name, your vote, and if you want to, you  
9 can state the reason why you voted as you did into our record. If you see an error, please correct it  
10 verbally for the record as well. We can start with Premchand Anne.

11 Dr. Anne: This is Dr. Premchand Anne. I voted yes for all three.

12 Dr. Fischer: Thank you. Susan Baker.

13 Dr. Baker: This is Susan Baker. I voted yes for Vaxchora, and I recused [myself] as directed  
14 for the other two.

15 Dr. Fischer: Thank you. David Callahan.

16 Dr. Callahan: This is David Callahan. I voted yes for each of the three products.

17 Dr. Fischer: Thank you. Doug Diekema.

18 Dr. Diekema: Yes. Doug Diekema. I voted yes for all three products.

19 Dr. Fischer: Thank you. Randall Flick.

20 Dr. Flick: Randall Flick. I voted yes for all three.

21 Dr. Fischer: Thank you. Charleta Guillory.

22 Dr. Guillory: Charleta Guillory. I voted yes on all three.

23 Dr. Fischer: Thank you. Sarah Hoehn.

1 Dr. Hoehn: Sarah Hoehn. I voted yes on all three.

2 Dr. Fischer: Thank you. Liza-Marie Johnson.

3 Dr. Johnson: This is Liza Johnson. I voted yes on all three.

4 Dr. Fischer: Thank you. Sandra Juul.

5 Dr. Juul: This is Sandra Juul. I voted yes on all three products.

6 Dr. Fischer: Thank you. Steven Krug.

7 Dr. Krug: This is Steven Krug. I voted yes on all three products.

8 Dr. Fischer: Thank you. Jennifer Lee-Summers.

9 Dr. Lee-Summers: This is Jennifer Lee-Summers. I voted yes on all three.

10 Dr. Fischer: Thank you. Gianna McMillan.

11 Dr. McMillan: This is Gianna McMillan. I voted yes on all three.

12 Dr. Fischer: Thank you. And Wael Sayej.

13 Dr. Sayej: This is Wael Sayej. I voted yes on all three products.

14 Dr. Fischer: Thank you everyone. That wraps up our discussion about CBER and we can

15 move on to the next slide.

16 *Listing of products evaluated in the pediatric-focused postmarket safety reviews completed by*  
17 *the Center for Drug Evaluation and Research (CDER)*

18 Dr. Fischer: Great. We can now transition over to the discussion about pediatric-focused

19 postmarket safety for reviews that were completed by the Center for Drug Evaluation and Research

20 represented by Dr. Ivone Kim. As expected, this list is much longer. Go ahead, Dr. Kim. [Silence.] Dr.

21 Kim, I see you but I can't hear you.

22 Dr. Kim: Hi, can you hear me now?

1 Dr. Fischer: Sure can. Perfect.

2 Dr. Kim: Thank you, Dr. Fischer. For the record, my name is Dr. Ivone Kim and I serve as  
3 a Senior Medical Officer in the Office of Surveillance and Epidemiology in CDER FDA. I'll now read a  
4 list of CDER regulated products that are under discussion at today's meeting. Please note that I'll be  
5 stating trade names only, but as a reminder to the audience, both trade and generic names will be listed in  
6 the slides. And also note, please, that more than one product was included in the same review in some  
7 instances. So, products that were reviewed together are listed together in the slides and they will be voted  
8 on together at the conclusion of the Committee discussion. Next slide, please.

9 So, we have Abraxane. ArmonAir RespiClick, ArmonAir Dihaler, AirDuo RespiClick, AirDuo  
10 Dihaler. Aubagio. Austedo. Brexafemme. Bydureon, Bydureon BCise, Byetta. Cibinco. Cosentyx.  
11 Descovy. Next slide, please. I am not sure if the slides are advancing. I can't tell from my end. Can  
12 someone confirm please?

13 Dr. Fischer: Yes, we're on Dupixent, it looks like, by the slides.

14 Dr. Kim: Thank you. So, Dupixent. Edurant, Edurant PED. Enbrel. Evotaz. Lialda.  
15 Linzess. Litfulo. Myrbetriq extended-release tablets, Myrbetriq granules. Nucynta, Nucynta ER. Opana.  
16 Next slide, please. Pifeltro, Delstrigo. Rapivab. Rexulti. Ryaltris. Selzentry. Simponi Aria. Smoflipid.  
17 Solosec. Taytulla. Tezspire. Next slide, please. Trintellix. Viibryd. Xofluza. Ycanth. Zegarogue. Zepatier.  
18 Zerbaxa, and Zosyn. Thank you. I'll now transition the meeting back to Dr. Fischer, PAC Chairperson.

19 *Clarifying Questions*

20 Dr. Fischer: Thank you. We will now proceed with clarifying questions from the PAC. Please  
21 remember to raise your hand using the hand button and also let us know who you are for the record before  
22 asking your question. Since there are so many products, if you can just be clear about which product  
23 you're referring to when you ask your question that will be helpful to the FDA. I don't see any questions  
24 yet.

1           So, while people are contemplating, Dr. Kim, I have a question about the duo inhalers or-- The  
2   breath-activated inhalers. I think this is probably a low risk for malfunction. When you have a drug and  
3   device. I know that when that device and drug are getting approved, you obviously treat it as a  
4   combination product and both Committees are involved. Is that true also for adverse effects when you're  
5   doing post safety monitoring?

6           Dr. Kim:        Yeah, so what CDER covers usually is post safety monitoring,  
7   pharmacovigilance for drug safety, but we also looked at medication errors. Device issues can always  
8   come up and that's something that we look for and we work with our partners in CDRH in some cases to  
9   do complete evaluations should concerns arise.

10          Dr. Fischer:    Thank you. The specific reason I'm asking is some of these inhalers, and I'm not  
11   specifically calling out any particular brand, but are known to have difficulty with the breath activation,  
12   especially for smaller patients and patients who can't necessarily adhere to instructions such as toddlers,  
13   but I would imagine that would be very difficult to tease out in terms of drug effectiveness versus  
14   effectiveness of the actual inhaler piece itself. So, I was just curious about that. Thank you. Dr. Hoehn, I  
15   think you were next.

16          Dr. Hoehn:      Sarah Hoehn. I have a clarifying comment-- question about oxymorphone, which  
17   was number 19 on the slides and it goes to what we were talking about this morning in terms of what data  
18   is-- What we do with the data that's submitted. So, on page eight of the briefing documents, it says that  
19   four cases described death in a patient who had no exposure to oxymorphone and that four other cases  
20   described adolescent patients who died from accidental oxymorphone overdose, but that that was  
21   considered a labeled adverse event. I just think it would be helpful if there was a way for us to continue to  
22   track overall overdose deaths from sort of a public health perspective, which I do feel like is within the  
23   purview of the FDA. And again, we were talking about whether or not-- If we had prescription data of  
24   those who had accidental overdose, whether or not they were prophylactically at the same time they were  
25   given oxymorphone, were they also given naloxone as a preventative measure for safety? And I do feel

1 like in terms of the co-prescribing of reversal agents, for these meds being given to adolescent patients,  
2 there should be some way we can track that data. I guess, to turn it into a question, is there a way to track  
3 or get any additional details of the eight pediatric patients who had deaths reported?

4 Dr. Kim: Thank you for the question. I'll tease out a couple of nuances there. So, for the  
5 bullet point of excluded reports for discussion that says that the patient had no exposure to oxymorphone,  
6 I want to clarify. When we do these pediatric postmarketing pharmacovigilance reviews mouthful, we are  
7 doing a case level evaluation of every report that we retrieve. So, in those four death cases, we actually  
8 determined that the patient didn't have any oxymorphone. For some reason, they were miscoded as being  
9 exposed. That's why we don't discuss those further because the focus of the review was oxymorphone.  
10 For the other one, the labeled adverse event, I will say this is a little bit of an anomaly. Typically-- I  
11 mean, we always look at death cases a little bit more in depth and it's rare to have death listed as a labeled  
12 event, you know? But I like to remind you that we are reviewing, so they're not excluded from review.  
13 They are all being reviewed. And they are reviewed and those cases happen to be for overdose. We don't  
14 have tons of information just on this specific review, pharmacovigilance review for the purposes of the  
15 PAC, in terms of the trends for overdose overall. Not all of the reports or cases included information  
16 about full prescription of naloxone or the administration of the naloxone in the patient. I will say kind of  
17 alluding to some of the questions that came up in the morning part of the meeting that-- So, there are no  
18 hard stops necessarily for individual drugs in the FDA 3500 form that either consumers or healthcare  
19 practitioners can do turn in, but there is a section where patients can turn in report concomitant  
20 medications or other medications that they're prescribed. We just don't have the data to say that they were  
21 prescribed together at the same time.

22 Dr. Hoehn: Thank you. That's helpful.

23 Dr. Fischer: Dr. Flick.

1 Dr. Flick: Well, that comment just raised a separate question for me. So, if we have a death  
2 associated with a labeled event, should that prompt more rigorous vigilance even though it's an  
3 unexpected or labeled event?

4 Dr. Kim: Sure. Yes. Thank you for the question. So, when we look at an adverse event, of  
5 course we are excluding these cases specifically from the PAC reviews from further discussion. But  
6 again, we're not excluding them from review and whether it's a death outcome or a non-fatal outcome for  
7 any labeled adverse event, we're still looking for evidence of a new safety concern, right? Are there new  
8 features to the adverse event? Is it a new severity? There was a question earlier about are we tracking off-  
9 label use? So we're looking at all these factors and all these characteristics to see if there's new features to  
10 raise new concerns.

11 Dr. Flick: Okay, I think that helps. My original question related to ArmonAir and I saw in  
12 the briefing materials it says "Of note: ArmonAir has been discontinued from marketing." Was that a  
13 regulatory decision or a sponsor decision and was it related to safety?

14 Dr. Kim: The withdrawal from the market was not related to safety. The information  
15 should be available online, but I believe for ArmonAir, if I recall correctly, the withdrawal for marketing  
16 was requested by the manufacturer sponsor.

17 Dr. Flick: Okay. Thank you.

18 Dr. Fischer: Thanks for those questions. If anybody else has a question, go ahead and raise  
19 your hand now. Okay. I don't see anyone. Thank you, Dr. Kim. I think we can go ahead and proceed with  
20 voting for CDER.

21 *Committee Discussion and Vote*

22 Dr. Fischer: The voting question is again being displayed on your screen. It states that the  
23 FDA did not identify any new safety signals in the pediatric-focused postmarketing safety reviews  
24 conducted for the Pediatric Advisory Committee. As such, FDA recommends continuing routine, ongoing

1 postmarket safety monitoring of each of the CDER products under discussion. The question we are being  
2 asked to answer is, does the Pediatric Advisory Committee concur? And our options are "Yes," "No,"  
3 "Abstain" or "Recused." Are there any questions about the wording of the question before we continue?  
4 Okay. I remind our public observers that while the meeting is open for public observation, public  
5 attendees may not participate except at the specific request of the panel. Do any of the PAC have a  
6 comment or question that they would like to discuss with the fellow Committee members before we begin  
7 voting? Go ahead and raise your hand if you have anything to discuss with the rest of the pack. Dr.  
8 McMillan, go ahead.

9 Dr. McMillan: So, I anticipate that I'll be voting yes on all of these, and I don't know where this  
10 comment should go in the record, but I'll just start with it now in case other people have a comment. So,  
11 three of these drugs, Zosyn, Dupixent, and Opana have a number of unassessable reports. So, for  
12 example, Zosyn has 54 unassessable reports, which is about 20%, and that includes two deaths. Dupixent  
13 has 994 unassessable reports, which includes nine deaths. Opana has 44 unassessable reports, which is  
14 about 20%. Oh, by the way, Dupixent 994 unassessable reports. It is more than two thirds of all of the  
15 reports. And then, Opana has 44 unassessable reports, which is about 20% which includes 33 deaths. So,  
16 while I understand we had a great conversation this morning, and while I imagine I'm going to vote yes  
17 that I recommend continuing routine monitoring, I can imagine a time in the not too far away future  
18 where I will not be willing to vote yes on these if we do not have better reporting data, especially when  
19 we're looking at 33 deaths within 44 unassessable reports. I just am uncomfortable with the lack of  
20 complete information that we are required then to vote on. And so, the answers would be-- The resolution  
21 to my particular dilemma would be if we came up with some new strategies for better reporting outcomes.  
22 Also, it could be that FDA staff needs to explain to me better how the context of all of these and what's  
23 the weight of the priorities and this number of-- What's the weight of the priority for this number of  
24 unassessable reports compared to the deaths, compared to all the reports collected. I mean, perhaps there's  
25 an explanation or a conversation that could be had to make me feel better. But I would like to know the

1      opinion of the rest of the Committee. And I feel like there is, like I said, a point in the future where I'm  
2      not going to be comfortable enough to continue just voting through these things based on incomplete  
3      reports.

4              Dr. Fischer:    Thank you for those comments. Other PAC members, any other comments  
5      regarding Dr. McMillan's discussion or others? Dr. Krug. Go ahead.

6              Dr. Krug:       Very elegantly stated by Dr. McMillan. I share her concerns. We need better data  
7      because in theory-- We are in part voting to continue monitoring of the drug, which is important, but the  
8      question becomes when does something that has a number of reported deaths in which we can't access  
9      data, where we take a different approach? So, again, [I] completely agree with Dr. McMillan.

10             Dr. Fischer:    Thank you, Dr. Krug. I'll add that I definitely agree in general but just  
11      recognizing how hard it is to get data, especially in some of these complex patients who are on potentially  
12      several, many other therapeutics, potentially critically ill, that teasing that out even in a published research  
13      study is not complete and often has a lot of confounders. But I do think there are some things as we  
14      discussed this morning that perhaps could improve some of the data that we're getting. So, thank you  
15      everyone for those comments. Anybody else who'd like to speak to the PAC specifically? [Silence.] Great  
16      discussion guys. Thank you.

17             If there are no other comments, we can go ahead and begin the voting process. After the votes are  
18      collected, the vote will then be displayed on the screen just like last time, and the DFO will read the vote  
19      from the screen into the record. Then PAC members, you'll have another opportunity to summarize your  
20      votes and also state any reasoning behind your vote and we can go ahead with that process now. Thank  
21      you.

22             Dr. Fischer:    Okay, welcome back everyone. This is Gwenyth Fischer and we are done with  
23      the voting process and are now ready to see the results if they could go ahead and be displayed on screen.  
24      And with that, I will turn the meeting over to our DFO.

1 Ms. Srivastava: Thank you, Dr. Fischer. This is Shavana Srivastava. For the voting question, does  
2 the Pediatric Advisory Committee concur with FDA's recommendation to continue routine ongoing  
3 postmarket safety monitoring for each of the CDER products under discussion? The results are: For  
4 Abraxane, there are 10 yeses, zero nos, zero abstains, and two recusals. For ArmonAir RespiClick,  
5 ArmonAir Digihaler, AirDuo RespiClick, AirDuo Digihaler, there are 11 yeses, zero nos, one abstains,  
6 and zero recusals. For Aubagio, there are 11 yeses, zero nos, zero abstains, and one recusal. For Austedo,  
7 there are 12 yeses, zero nos, zero abstains, and zero recusals.

8 For Brexafemme, there are 12 yeses, zero nos, zero abstains, and zero recusals. For Bydureon,  
9 Bydureon BCise, Byetta there are 12 yeses, zero nos, zero abstains, and zero recusals. For Cibinqo, there  
10 are 11 yeses, zero nos, zero abstains, and one recusal. For Cosentyx, there are 12 yeses, zero nos, zero  
11 abstains, and zero recusals. For Descovy, there are 12 yeses, zero nos, zero abstains, and zero recusals.  
12 For Dupixent, there are 11 yeses, zero nos, zero abstains, and one recusal. For Edurant, Edurant PED,  
13 there are 12 yeses, zero nos, zero abstains, and zero recusals. For Enbrel, there are 12 yeses, zero nos,  
14 zero abstains, and zero recusals. For Evotaz, there are 12 yeses, zero nos, zero abstains, and zero recusals.  
15 For Lialda, there are 11 yeses, zero nos, zero abstains, and one recusal.

16 For Linzess, there are 12 yeses, zero nos, zero abstains, and zero recusals. For Litfulo, there are  
17 12 yeses, zero nos, zero abstains, and zero recusals. For Myrbetriq extended-release tablets, Myrbetriq  
18 granules, there are 12 yeses, zero nos, zero abstains, and zero recusals. For Nucynta, Nucynta ER, there  
19 are 12 yeses, zero nos, zero abstains, and zero recusals. For Opana, there are 12 yeses, zero nos, zero  
20 abstains, and zero recusals. For Pifetro, Delstrigo there are 12 yeses, zero nos, zero abstains, and zero  
21 recusals. For Rapivab, there are 12 yeses, zero nos, zero abstains, and zero recusals. For Rexulti, there are  
22 12 yeses, zero nos, zero abstains, and zero recusals. For Ryaltris, there are 11 yeses, zero nos, zero  
23 abstains, and one recusal.

24 For Selzentry, there are 12 yeses, zero, no, zero abstains, and zero recusals. For Simponi Aria,  
25 there are 12 yeses, zero nos, zero abstains, and zero recusals. For Smoflipid, there are 12 yeses, zero nos,

1 zero abstains, and zero recusals. For Solosec, there are 12 yeses, zero nos, zero abstains, and zero  
2 recusals. For Taytulla, there are 12 yeses, zero nos, zero abstains, and zero recusals. For Tezspire, there  
3 are 12 yeses, zero nos, zero abstains, and zero recusals. For Trintellix, there are 12 yeses, zero, no, zero  
4 abstains, and zero recusals. For Viibryd, there are 12 yeses, zero nos, zero abstains, and zero recusals. For  
5 Xofluza, there are 12 yeses, zero nos, zero abstains, and zero recusals. For Ycanth, there are 12 yeses,  
6 zero nos, zero abstains, and zero recusals. For Zegologue, there are 12 yeses, zero nos, zero abstains, and  
7 zero recusals. For Zepatier, there are 12 yeses, zero nos, zero abstains, and zero recusals. For Zerbaxa,  
8 there are 12 yeses, zero no, zero abstains, and zero recusals. For Zosyn, there are 11 yeses, zero nos, zero  
9 abstains, and one recusal. Thank you.

10 Dr. Fischer: Thank you. Now that voting for CDER has been completed, we'll go down the  
11 meeting roster as before and have everyone who voted state your name, your vote, and if you want to, you  
12 can state the reason why you voted as you did into the record for any individual products. If you see an  
13 error as before, please, please correct it verbally. For the record, we can start with Premchand Anne.

14 Dr. Anne: This is Premchand Anne. I voted yes for all of the items.

15 Dr. Fischer: Thank you. David Callahan.

16 Dr. Callahan: This is David Callahan. I voted yes for each of the products.

17 Dr. Fischer: Thank you. Douglas Diekema.

18 Dr. Diekema: Doug Diekema. I voted yes for all items.

19 Dr. Fischer: Thank you. Randall Flick.

20 Dr. Flick: Randall Flick. I voted yes for all the items.

21 Dr. Fischer: Thank you. Charleta Guillory.

22 Dr. Guillory: This is Charleta Guillory, and I voted yes for all items.

23 Dr. Fischer: Thank you. Sarah Hoehn.

24 Dr. Hoehn: Sarah Hoehn. I voted yes for all items.

1                   Dr. Fischer:    Thank you. Liza-Marie Johnson.

2                   Dr. Johnson:    Liza-Marie Johnson. I voted yes for everything, with the exception of the six

3                   products that I was recused on. Do you want me to list them out?

4                   Dr. Fischer:    No, I think that's okay. Thank you.

5                   Dr. Johnson:    Thank you.

6                   Dr. Fischer:    Thank you. Sandra Juul.

7                   Dr. Juul:        Sandra Juul. I voted yes on all items except for the two drugs that I was recused

8                   from.

9                   Dr. Fischer.    Thank you. Steven Krug.

10                  Dr. Krug:        Hi, this is Steve Krug. I voted yes for all of the products.

11                  Dr. Fischer:    Thank you. Jennifer Lee-Summers.

12                  Dr. Lee-Summers:    This is Jennifer Lee-Summers. I voted yes for all the products.

13                  Dr. Fischer:    Thank you. Gianna McMillan.

14                  Dr. McMillan:    This is Gianna McMillan. I voted yes on all the products, noting that I am

15                  comfortable doing so with the understanding that there will be meaningful exploration about how to

16                  improve the quality of the reporting mechanism.

17                  Dr. Fischer:    Thank you, Dr. McMillan. Can we go back to Dr. Flick. For one product you

18                  were abstained on? Just to clarify for the record.

19                  Dr. Flick:        That must be an error. I apologize.

20                  Dr. Fischer:    Okay, so correcting for the record.

21                  Dr. Flick:        It should be all yeses.

22                  Dr. Fischer:    All yeses. Okay. Thank you. And then finally, Wael Sayej.

23                  Dr. Sayej:        This is Wael Sayej. I voted yes for all products.

### *Closing Remarks and Adjournment*

2 Dr. Fischer: Thank you everyone. We can go to the next slide. Well, thank you so much,  
3 everyone, for all of your hard work reviewing the materials as well as the very fantastic discussion that  
4 we were able to have this morning and further discussion and questions that we had this afternoon. You  
5 brought up a lot of very great points for the FDA as well, some really practical possible solutions as we  
6 move forward. I would like to thank the members of the PAC again for participating today, and as well as  
7 all the members of the FDA who were here today with us for providing the really great materials that  
8 we're able to review. And with that, I will bring this meeting to a conclusion. And again, thank you for all  
9 of your participation, everyone who's on this call, and we will now go ahead and adjourn the meeting.

10 Dr. Sayej: Thank you, Dr. Fischer. Thank you.

11 Dr. Fischer: Thanks all.