

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Afternoon Session

Thursday, April 30, 2026

1:00 p.m. to 4:53 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****Joyce Frimpong, PharmD**

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**Toni K. Choueiri, MD**

Director, Lank Center for Genitourinary Oncology

Professor, Harvard Medical School

Dana-Farber Cancer Institute

Boston, Massachusetts

1 **William J. Gradishar, MD**

2 *(via video conferencing platform)*

3 Betsy Bramsen Professor of Breast Oncology and
4 Professor of Medicine
5 Deputy Director, Clinical Network
6 Director, Maggie Daley Center for Women's Cancer
7 Care
8 Robert H. Lurie Comprehensive Cancer Center
9 Feinberg School of Medicine at
10 Northwestern University
11 Chicago, Illinois

12
13 **Neil Vasan, MD, PhD**

14 *(Acting Chairperson)*

15 Assistant Professor
16 Director, Breast Cancer Translational Research
17 Department of Medicine, NYU Grossman School of
18 Medicine
19 Perlmutter Cancer Center, NYU Langone Health
20 New York, New York

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS**(Non-Voting)****Tara L. Frenkl, MD, MPH**

Senior Vice President, Head of Global Medical

Strategy and Evidence Generation

Bayer Pharmaceuticals

Whippany, New Jersey

TEMPORARY MEMBERS (Voting)**Karla V. Ballman, PhD, FASCO**

Professor, Biostatistics

Division Chair, Division of Clinical Trials &

Biostatistics

Mayo Clinic

Rochester, Minnesota

Paul T. Conway*(Patient Representative**Afternoon Session Only; via video conferencing**platform)*

Falls Church, Virginia

1 **Franklin Huang, MD, PhD**

2 *(Afternoon Session Only)*

3 Associate Professor

4 Departments of Medicine and Urology

5 University of California San Francisco

6 Staff Physician, San Francisco Veterans Affairs

7 Medical Center (VAMC)

8 Scientific Director, Sierra Pacific Precision

9 Oncology Clinical Research Center

10 San Francisco, California

11
12 **Brian Rini, MD**

13 *(Afternoon Session Only, via video conferencing*
14 *platform)*

15 Professor of Medicine

16 Vanderbilt Ingram Cancer Center

17 Department of Hematology/Oncology

18 Vanderbilt University Medical Center

19 Nashville, Tennessee

1 **Kyle Robinson, MD**

2 *(Afternoon Session Only)*

3 Staff Physician

4 Corporal Michael J. Crescenz VA Medical Center

5 Associate Professor of Clinical Medicine

6 Division of Hematology-Oncology

7 University of Pennsylvania Perelman School of
8 Medicine

9 Philadelphia, Pennsylvania

10
11 **Aihua Edward Yen, MD**

12 *(Afternoon Session Only)*

13 Assistant Professor

14 Department of Medicine, Section of Hematology/
15 Oncology

16 Baylor College of Medicine

17 Genitourinary Medical Oncologist

18 Dan L Duncan Cancer Center

19 Houston VA Medical Center

20 Houston, Texas

1 **FDA PARTICIPANTS (Non-Voting)**

2 **R. Angelo de Claro, MD**

3 Director (Acting)

4 Oncology Center for Excellence, FDA

5 Director (Acting), Office of Oncologic Diseases

6 (OOD), CDER, FDA

7

8 **Laleh Amiri-Kordestani, MD**

9 Director

10 Division of Oncology 1 (DO1)

11 OOD, Office of New Drugs (OND), CDER, FDA

12 **Daniel Suzman, MD**

13 *(Afternoon Session Only)*

14 Deputy Director

15 DO1, OOD, OND, CDER, FDA

16

17 **Elaine Chang, MD**

18 *(Afternoon Session Only)*

19 Clinical Team Leader

20 DO1, OOD, OND, CDER, FDA

1 **Daniel Lee, MD, PhD**

2 *(Afternoon Session Only)*

3 Clinical Reviewer

4 DO1, OOD, OND, CDER, FDA

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Neil Vasan, MD, PhD	11
5	Conflict of Interest Statement	
6	Joyce Frimpong, PharmD	14
7	FDA Opening Remarks	
8	Elaine Chang, MD	18
9	Open Public Hearing	27
10	Applicant Presentations	
11	AstraZeneca Pharmaceuticals LP	
12	Introduction	
13	Andrew Foxley, MFPM (Hon)	58
14	Disease Background and Unmet Need	
15	Elisabeth I. Heath, MD, FACP	63
16	CAPItello-281 Clinical Efficiency	
17	Gaia Schiavon, MD, PhD	69
18	CAPItello-281 Clinical Safety and PROs	
19	Mayur Patel, PharmD	78
20	Benefit Risk and Clinical Perspective	
21	Daniel J. George, MD	89
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentation	
4	Capivasertib with Abiraterone and Prednisone	
5	for PTEN-deficient mHSPC	
6	Daniel Lee, MD, PhD	94
7	Clarifying Questions	119
8	Questions to the Committee and Discussion	162
9	Adjournment	187
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(1:00 p.m.)

Call to Order

Introduction of Committee

DR. VASAN: Good afternoon, and welcome. I would first like to remind everyone to please mute your line when you're not speaking. All members of the public are reminded to silence their phones and other devices, and to otherwise refrain from disrupting the meeting. Loud talking or applause may make it difficult for meeting participants and observers to hear the proceedings.

My name is Dr. Neil Vasan, and I will be chairing this meeting. I now call the afternoon session of the April 30, 2026 meeting of the Oncologic Drugs Advisory Committee to order. We'll start by going around the table and introducing ourselves by stating our names and affiliations. We'll start with the FDA to my left and go around the table.

DR. DE CLARO: Angelo de Claro, Acting Director, FDA Oncology Center of Excellence.

1 DR. AMIRI-KORDESTANI: Laleh

2 Amiri-Kordestani, Division Director of Division of
3 Oncology 1.

4 DR. SUZMAN: Daniel Suzman, Deputy Division
5 Director, Division Oncology 1.

6 DR. CHANG: Elaine Chang, Clinical Team
7 Leader, Division of Oncology 1.

8 DR. LEE: Daniel Lee, Clinical Reviewer, DO1.

9 DR. RINI: Brian Rini, GU Oncologist,
10 Vanderbilt in Nashville.

11 MR. CONWAY: Paul Conway, Vice President of
12 the American Association of Kidney Patients and Chair
13 of Policy, prostate cancer survivor, 29-year kidney
14 transplant recipient. Thank you.

15 DR. GRADISHAR: Bill Gradishar, Northwestern
16 University.

17 DR. CHOUEIRI: Toni Choueiri, Dana-Farber
18 Cancer Institute.

19 DR. VASAN: Neil Vasan, NYU Langone.

20 DR. FRIMPONG: Joyce Frimpong, Designated
21 Federal Officer, FDA.

22 DR. HUANG: Franklin Huang, Prostate Cancer

1 Oncologist at UCSF in the San Francisco VA Medical
2 Center.

3 DR. ROBINSON: Kyle Robinson, Medical
4 Oncologist, University of Pennsylvania and the
5 Philadelphia VA.

6 DR. YEN: Edward Yen, Medical Oncologist,
7 Baylor College of Medicine and Houston, VA.

8 DR. BALLMAN: Karla Ballman, Biostatistician,
9 Mayo Clinic.

10 DR. FRENKL: Tara Frenkl, Head of Global
11 Medical and Evidence at Bayer Pharmaceuticals. I am
12 the pharmaceutical industry representative.

13 DR. VASAN: For topics such as those being
14 discussed at this meeting, there are often a
15 variety of opinions, some of which are quite
16 strongly held. Our goal is that this meeting will
17 be a fair and open forum for discussion of these
18 issues, and that individuals can express their
19 views without interruption. Thus, as a gentle
20 reminder, individuals will be allowed to speak into
21 the record only if recognized by the chairperson.
22 We look forward to a productive meeting.

1 In the spirit of the Federal Advisory
2 Committee Act and the Government in the Sunshine
3 Act, we ask the advisory committee members take
4 care that their conversations about the topic at
5 hand take place in the open forum of the meeting.
6 We are aware that members of the media are anxious
7 to speak with the FDA about these proceedings;
8 however, FDA will refrain from discussing the
9 details of this meeting with the media until its
10 conclusion.

11 Also, the committee is reminded to please
12 refrain from discussing the meeting topic during
13 breaks or lunch. Thank you.

14 Dr. Frimpong will read the Conflict of
15 Interest Statement for the meeting.

16 **Conflict of Interest Statement**

17 DR. FRIMPONG: The FDA is convening today's
18 meeting of the Oncologic Drugs Advisory Committee
19 under the Federal Advisory Committee Act of 1972. At
20 today's meeting, the committee will discuss
21 supplemental new drug application 218197/S-004, for
22 Truqap, capivasertib, tablets, submitted by

1 AstraZeneca Pharmaceuticals LP. The proposed
2 indication is in combination with abiraterone for the
3 treatment of adult patients with metastatic
4 hormone-sensitive prostate cancer that is
5 PTEN-deficient as detected by an FDA-approved test.

6 With the exception of the industry
7 representative, the members of the committee are
8 special or regular government employees and are
9 subject to federal conflict of interest laws and
10 regulations. Accordingly, FDA has reviewed the
11 financial interests of the committee members for
12 compliance with federal ethics and conflict of
13 interest laws. We have screened the members for
14 potential financial conflicts of interest related
15 to today's meeting agenda, both their own interests
16 and those that are imputed to them, including those
17 of their spouses, minor children, and employers.

18 Based on the agenda for today's meeting and
19 all financial interests reported by committee
20 members, the FDA has determined that all members of
21 this advisory committee are in compliance with
22 federal ethics and conflict of interest laws, and

1 as a result, no conflict of interest waivers under
2 18 U.S.C. 208 have been issued in connection with
3 this meeting.

4 Dr. Tara Frenkl of Bayer Pharmaceuticals is
5 participating in this meeting as a non-voting
6 industry representative acting on behalf of
7 regulated industry. Consistent with Commissioner
8 Makary's April 17, 2025 statement, FDA is only
9 including industry representatives in advisory
10 committee meetings where required by statute. FDA
11 is required to include an industry representative
12 in today's meeting under 21 U.S.C. 355(n)(3)(c).

13 Industry representatives are not appointed
14 as special government employees nor are they
15 regular government employees. Industry
16 representatives serve as non-voting members of the
17 committee. Non-voting industry representatives
18 represent all regulated industry and not any
19 particular association, company, product, or
20 ingredient, and bringing general industry
21 perspective to the committee.

22 Under FDA regulations, although a non-voting

1 member serves in a representative capacity, the
2 non-voting member shall exercise restraint in
3 performing such functions and may not engage in
4 unseemly advocacy or attempt to exert undue
5 influence over the other members of the committee.
6 FDA asks that all other participants, including the
7 open public hearing speakers, advise the committee
8 of any financial relationships that they have with
9 any of affected firms, its products, and if known,
10 its direct competitors.

11 We would like to remind the members that if
12 the discussions involve any products or firms not
13 already on the agenda for which an FDA participant
14 has a personal or imputed financial interest, the
15 participant needs to inform the DFO and exclude
16 themselves from the discussion, and their exclusion
17 will be noted for the record. Thank you.

18 DR. VASAN: And for the record, due to
19 unforeseen circumstances, Dr. Fatima Karzai will not
20 be joining us today.

21 We will now proceed with FDA opening remarks
22 by Dr. Elaine Chang.

FDA Opening Remarks - Elaine Chang

DR. CHANG: Good afternoon. My name is Elaine Chang. I'm a Medical Oncologist and Team Leader in the Division of Oncology 1. I'd like to extend my thanks to the members of the advisory committee, the AstraZeneca team, invited guests, visitors, and FDA colleagues for attending and participating in today's discussion of the supplemental application for capivasertib, NDA 218197. In my introductory comments, I will provide a brief background on the application, FDA's reasons for requesting input from the committee, and the issues that FDA has identified for consideration during today's discussion.

Capivasertib is an AKT kinase inhibitor. The FDA approved it in 2023 for advanced breast cancer with PIK3CA, AKT1, or PTEN alterations. AstraZeneca, who I will refer to as the applicant, seeks approval of capivasertib in combination with abiraterone for the treatment of metastatic hormone-sensitive prostate cancer, mHSPC, with PTEN deficiency.

Results of the CAPItello-281 trial provide

1 the primary evidence to support the safety and
2 efficacy of capivasertib in combination with
3 abiraterone for the proposed indication. As depicted
4 in this slide, CAPItello-281 is a double-blind,
5 randomized, placebo-controlled, multicenter trial.
6 It randomized 1,012 patients with mHSPC and PTEN loss
7 to receive either capivasertib or placebo, in
8 combination with abiraterone and prednisone or
9 prednisolone, until disease progression or
10 intolerable toxicity. Patients were required to have
11 a hemoglobin A1C of less than 8 percent, and patients
12 with diabetes could not be on insulin, given concerns
13 around hyperglycemia, based on prior experience with
14 capivasertib.

15 The primary efficacy outcome measure was
16 radiographic progression-free survival, rPFS,
17 according to investigator assessment using Response
18 Evaluation Criteria for Solid Tumors Version 1.1 and
19 Prostate Cancer Working Group 3 criteria. This means
20 that progression events can be called based on either
21 CT or bone scans, which is an acceptable definition
22 of rPFS.

1 When the study design was proposed in 2020,
2 the FDA stated that while rPFS is an acceptable
3 primary endpoint, the clinical benefit of any
4 improvement in rPFS would be assessed in terms of the
5 magnitude of improvement and the consistency across
6 other endpoints, particularly endpoints that measure
7 how a patient feels, functions, or survives. The FDA
8 stated that an improvement in overall survival or
9 other clinically meaningful endpoints may be needed
10 to demonstrate clinical benefit in the absence of a
11 large magnitude of improvement in rPFS.

12 The primary analysis of CAPItello-281
13 demonstrated a statistically significant improvement
14 in rPFS as assessed by investigator in patients
15 randomized to receive capivasertib compared to
16 patients randomized to placebo. The hazard ratio for
17 rPFS was 0.81, with a 95 percent confidence interval
18 that excludes 1. The estimated median rPFS was
19 33 months in the capivasertib arm and 26 months in
20 the placebo arm. However, median rPFS estimates
21 based on the rPFS Kaplan-Meier curves alone do not
22 adequately characterize the treatment effect of rPFS

1 over time. We note that the median difference occurs
2 at a point in the Kaplan-Meier curves where the
3 difference between arms appears larger than at other
4 points. Overall, the Kaplan-Meier curves and hazard
5 ratio provide a more comprehensive summary of rPFS
6 results than the median alone, and these both suggest
7 a modest treatment effect.

8 Overall survival results were not
9 statistically significant at interim analysis and are
10 immature, with about 50 percent of the deaths
11 expected at the final analysis. According to the
12 applicant, the study was not powered to detect an
13 overall survival benefit. Interim analysis results
14 did not appear to show a detriment in OS. However,
15 in the absence of a large improvement in rPFS, and
16 considering the added toxicity of capivasertib, which
17 we will discuss soon, a statistically significant
18 improvement in OS may be needed to support a
19 clinically meaningful treatment effect. Taken in
20 totality, we are concerned that the rPFS result
21 without an OS benefit may not represent clinically
22 meaningful treatment effect.

1 The CAPItello-281 results are not consistent
2 with prior trial results that have led to approvals
3 for other drugs for patients with mHSPC. All prior
4 approvals were either supported by a clinically
5 meaningful treatment effect in rPFS with large
6 magnitude of effect, OS benefit, or both. The trials
7 that included rPFS as a primary endpoint were TITAN,
8 ARCHES, ARANOTE, and AMPLITUDE.

9 While the approvals of apalutamide ,
10 enzalutamide, and darolutamide, all in combination
11 with ADT, were supported by trials that randomized
12 patients to doublet therapy versus placebo with ADT,
13 the most contemporary trial was AMPLITUDE, which
14 randomized patients to niraparib with abiraterone
15 versus the same control arm as CAPItello-281, placebo
16 and abiraterone. This trial demonstrated a
17 clinically meaningful rPFS hazard ratio of 0.46 in
18 the indicated population of patients with
19 BRCA2-mutated mHSPC, supported by numerically
20 favorable while immature overall survival results.

21 The other approvals that FDA has granted for
22 mHSPC were supported by LATITUDE and ARASENS. Both

1 had OS as a primary endpoint and showed statistically
2 significant OS effects with hazard ratio point
3 estimates of 0.62 and 0.68, respectively. The
4 approval of darolutamide with docetaxel and ADT was a
5 contemporary trial with an active control arm therapy
6 of docetaxel with ADT.

7 In totality, past FDA approvals for therapies
8 and mHSPC have been supported by clinically
9 meaningful treatment effects on rPFS, or OS, or both.
10 This historical context informs the assessment of the
11 clinical meaningfulness of the treatment effect
12 observed in CAPItello-281. While not establishing a
13 threshold treatment effect for approval, the FDA
14 evaluates each application individually, based on the
15 totality of evidence, including the magnitude of
16 effect, toxicity, and meaningfulness in the clinical
17 context.

18 In CAPItello-281, patients receiving
19 capivasertib experienced a higher incidence of
20 grade 3 or higher adverse reactions, serious adverse
21 reactions, and adverse reactions leading to death.
22 Toxicities occurring at a higher incidence in the

1 investigational arm included skin reactions,
2 diarrhea, infections, and hyperglycemia, leading to
3 high rates of drug discontinuation. Additionally,
4 44 percent of patients who received capivasertib
5 required initiation or change in antihyperglycemic
6 regimen, including 17 percent of all patients
7 receiving capivasertib requiring initiation of
8 insulin.

9 The proposed regimen includes a steroid that
10 appears to substantially increase the hyperglycemia
11 toxicity compared to breast cancer data, despite
12 similarly requiring a baseline hemoglobin A1C of less
13 than 8 percent. While these types of adverse
14 reaction data are not unprecedented in oncology
15 trials, the incidence and severity of toxicities that
16 may be acceptable to patients is generally lower when
17 the clinical benefit is modest.

18 In summary, the demonstrated improvement in
19 rPFS was statistically significant, with a hazard
20 ratio of 0.81, which is a modest treatment effect in
21 the context of other results upon which FDA has
22 granted previous approvals in mHSPC. The treatment

1 effect was supported by generally consistent results
2 across sensitivity analyses, though the clinical
3 meaningfulness of the findings remain uncertain.

4 We are concerned that the benefit-risk of the
5 addition of capivasertib may not be favorable
6 considering the clinical context. The enrolled
7 population had early metastatic disease with a median
8 time to progression or death of approximately
9 2 years. We note that the majority of patients with
10 PTEN-deficient mHSPC had no or mild symptoms at
11 baseline. In contrast, 71 percent of patients in the
12 capivasertib arm had at least one grade 3 or higher
13 adverse reaction.

14 Additionally, consider the clinical challenge
15 that patients and providers will not be able to
16 distinguish capivasertib benefit from abiraterone
17 when evaluating benefit-risk for a given patient.
18 This potential for overtreatment for years is
19 different from monotherapy, where efficacy of a
20 single agent can be more directly assessed. While
21 this concern is true of any combination therapy, it
22 is heightened here because of the long expected

1 duration of therapy, given the efficacy and safety of
2 abiraterone.

3 In the absence of an improvement in OS and
4 with the added toxicity of capivasertib, the activity
5 of the control arm needs to be considered. While the
6 control arm therapy of abiraterone doublet was
7 acceptable from a regulatory perspective, guidelines
8 and meta-analyses suggest that this is not the most
9 preferred and active control arm therapy. This is a
10 consideration in the clinical interpretation of the
11 meaningfulness of the improvement of rPFS.

12 The combination of capivasertib plus
13 abiraterone and prednisone or prednisolone resulted
14 in substantially increased toxicity compared to
15 placebo plus abiraterone. Increases in hyperglycemia
16 events resulted in increased healthcare utilization,
17 including insulin. More patients experienced fatal
18 adverse reactions in the capivasertib arm,
19 particularly in the first few months, while the
20 expected OS in this population appears to be several
21 years. Therefore, we ask the ODAC to consider the
22 benefit-risk considered in CAPItello-281. After the

1 discussion, we will ask the committee to discuss and
2 vote on this question.

3 Based on the CAPItello-281 results, does the
4 benefit of adding capivasertib to abiraterone and
5 prednisone outweigh the risk for the proposed
6 indication? Thank you for your attention. I turn
7 the meeting back over to the chair.

8 **Open Public Hearing**

9 DR. VASAN: Thank you. We will now begin the
10 open public hearing session.

11 Both the FDA and the public believe in a
12 transparent process for information gathering and
13 decision making. To ensure such transparency at
14 the open public hearing session of the advisory
15 committee meeting, FDA believes that it is
16 important to understand the context of an
17 individual's presentation.

18 For this reason, FDA encourages you, the
19 open public hearing speaker, at the beginning of
20 your written or oral statement to advise the
21 committee of any financial relationship that you
22 may have with the industry group. For example,

1 this financial information may include the industry
2 group's payment of your travel, lodging, or other
3 expenses in connection with your participation in
4 the meeting.

5 Likewise, FDA encourages you, at the
6 beginning of your statement, to advise the
7 committee if you do not have any such financial
8 relationships. If you choose not to address this
9 issue of financial relationships at the beginning
10 of your statement, it will not preclude you from
11 speaking.

12 The FDA and this committee place great
13 importance in the open public hearing process. The
14 insights and comments provided can help the agency
15 and this committee in their consideration of the
16 issues before them today. That said, and in any
17 instances and for many topics, there will be a
18 variety of opinions. One of our goals for today is
19 that this open public hearing is to be conducted in
20 a fair and open way, where every participant is
21 listened to carefully and treated with dignity,
22 courtesy, and respect.

1 For those presenting virtually, please
2 remember to unmute and turn on your camera when your
3 OPH number is called. For those presenting in
4 person, please step up to the podium when your OPH
5 number is called. As a reminder, please speak only
6 when recognized by the chairperson. Thank you for
7 your cooperation.

8 Speaker number 1, please state your name and
9 any organization you are representing, for the
10 record. You have five minutes.

11 DR. ZUCKERMAN: Thank you. I'm Dr. Diana
12 Zuckerman, President of the National Center for
13 Health Research. Our nonprofit research center does
14 not accept funding from companies with a financial
15 interest in our work, so we have no conflicts of
16 interest.

17 Prior to my current position, I was a postdoc
18 at Yale Medical School, conducted research at Harvard
19 and Yale, and investigated FDA approval decisions for
20 the U.S. Congress. On a personal note, my husband
21 and my dad both were treated for prostate cancer, so
22 I know the importance of your work today. And today,

1 I will focus on the most important question for the
2 FDA and for patients: are the results clinically
3 meaningful? I thank the FDA for focusing on that
4 question, and I agree with the FDA review that the
5 progression-free survival results represent a small
6 treatment effect in the context of previous approvals
7 demonstrating greater effects.

8 In CAPItello-281, the radiographic
9 progression-free survival hazard ratio estimate, as
10 you've heard, was 0.81, and that raises questions
11 about whether the benefit is meaningful, especially
12 since there was no statistically significant overall
13 survival benefit at the interim analysis. And even
14 for a short-term study, that lack of benefit is still
15 important.

16 I kept in mind that the FDA told AstraZeneca
17 in 2020 that the agency was concerned with the study
18 design and had said that overall survival might be
19 necessary to be significant in order to prove the
20 benefit of this drug, because adding a new drug to an
21 effective and well-tolerated therapy makes it
22 difficult to determine the benefits of that

1 additional drug, as you all know. Had the difference
2 in progression-free survival been more substantial, a
3 significantly better overall survival might have been
4 less important. However, the PFS showed a modest
5 difference that was only significant at the
6 0.05 level, which is really about the minimum to be
7 relevant for a clinical trial.

8 So, what about the risks? As you just heard,
9 the addition of this drug resulted in increased
10 serious toxicities, including early fatal adverse
11 reactions, increased healthcare utilization, and
12 worse patient-reported outcomes such as severe
13 diarrhea and other symptoms that can really harm the
14 quality of life.

15 As FDA noted, when the patients were enrolled
16 in the study, most had no symptoms, or mild symptoms,
17 and they could have expected two or three years
18 without progression of cancer. That's why the
19 adverse events were more problematic than they would
20 have been for patients with more advanced disease.
21 So we agree with the FDA that the risks of the drug
22 seem to be questionable in terms of outweighing the

1 benefits.

2 And there are other shortcomings as well.

3 Number one, the control arm therapy was not the
4 triplet therapy that's currently preferred for these
5 kinds of patients; number 2, the secondary endpoints
6 were based on exploratory studies, so those data
7 should not be used as the basis of an FDA approval.

8 And the differences were, again, within the
9 confidence intervals close to 1, and so they were not
10 necessarily meaningful. To earn FDA approval, the
11 magnitude of the treatment effect must be weighed
12 against toxicity. The prior prognosis of this
13 subgroup of patients does not -- I'm sorry. The poor
14 prognosis does not, in and of itself, establish that
15 a modest treatment effect is clinically meaningful.

16 In conclusion, I agree with the FDA's
17 concerns that the demonstrated magnitude of
18 improvement in PFS is not proven to be clinically
19 meaningful given, number one, the absence of a
20 benefit in overall survival; number two, the added
21 toxicity, including increased number of early deaths;
22 number three, the early metastatic disease state that

1 is being studied; and number four, and last, the lack
2 of external data supporting meaningful efficacy of
3 this drug, or of any AKT inhibitors in patients with
4 this type of prostate cancer. Cancer patients
5 deserve better. Thank you so much.

6 DR. VASAN: Thank you.

7 Speaker number 2, please state your name and
8 any organization you are representing, for the
9 record. You have five minutes.

10 DR. AGARWAL: Hi. My name is Dr. Neeraj
11 Agarwal. I'm a medical oncologist specializing in
12 prostate cancer and a clinical trialist. I practice
13 at the Huntsman Cancer Institute at the University of
14 Utah. I'm speaking today as an independent physician
15 with no financial conflict of interest.

16 I would like to focus on one central
17 question. Does capivasertib meaningfully improve
18 outcomes for a clearly defined high-risk patient
19 population with unmet need? And based on the data,
20 my answer is yes. CAPitello-281 focuses on patients
21 with PTEN-deficient metastatic hormone-sensitive
22 prostate cancer, defined by 90 percent, or more, loss

1 of PTEN protein on immunohistochemistry.

2 This is a clinically aggressive group. PTEN
3 loss activates the PIK3/AKT pathway, creating a
4 parallel growth signal that is not suppressed by
5 standard of care therapies. In practice, these
6 patients progress faster, transition earlier to
7 castration-resistant disease, and respond less well
8 to standard therapy such as ADT plus ARPI. Other
9 options such as docetaxel lack clear evidence of
10 benefit in this specific patient population and have
11 limited acceptability, with real-world data showing
12 use in only 10 to 15 percent of patients in the U.S.
13 in the castration-sensitive setting.

14 Even in this trial, if you look at the
15 control arm, median radiographic progression-free
16 survival, it was only 25.7 months, confirming poor
17 outcome with standard of care therapies. This is a
18 phase 3, biomarker-selected trial that met its
19 primary endpoint. Median radiographic
20 progression-free survival improved from 25.7 to
21 33.2 months, with a statistically significant hazard
22 ratio of 0.81, as we heard. Importantly, the benefit

1 increases with greater PTEN loss, reinforcing a clear
2 biological signal and supporting a precision oncology
3 approach.

4 Beyond rPFS, multiple clinically meaningful
5 secondary endpoints also favored capivasertib. Time
6 to castration resistance and time to PSA progression
7 are both improved. These are not just trial
8 endpoints. Delaying the transition to
9 castration-resistant prostate cancer, the symptomatic
10 and ultimately lethal phase of disease, and delaying
11 PSA progression, are outcomes that patients truly
12 care about. These translate into more time feeling
13 well, more time with family, and more time before
14 facing the physical and emotional burden of advanced
15 disease, which is castration-resistant disease.
16 Delaying progression means delaying pain, fractures,
17 and need for more toxic therapies. In simple terms,
18 we are delaying the most difficult phase of prostate
19 cancer.

20 The safety profile is manageable and
21 consistent with expectations. Adverse events such as
22 hyperglycemia, rash, and diarrhea are predictable,

1 occur early, and can be addressed with dose
2 modification. Discontinuation rates remain
3 relatively low, and the morbidity of progressive
4 disease is far greater. Overall survival data are
5 not yet mature, but the trend is favorable. Waiting
6 for full maturity would delay access to a therapy
7 that has a strong biological rationale and consistent
8 benefit across multiple clinically meaningful
9 outcomes.

10 At its core, this is about giving clinicians
11 the ability to offer rational, targeted options to
12 patients who currently face poor outcomes. We will
13 select patients carefully, monitor toxicity closely,
14 and use this therapy thoughtfully, but we need access
15 in order to do that.

16 In summary, this is a high-risk,
17 biomarker-defined population with clear unmet need.
18 The trial met its primary endpoint. Secondary
19 endpoints reinforce benefit, and the safety profile
20 is manageable. Most importantly, this therapy offers
21 a real opportunity to delay progression and reduce
22 suffering. For our patients, time matters, time

1 without pain, without castration- resistant disease,
2 without progression, and time with dignity. And I
3 think capivasertib helped give them more of this time
4 in this trial, so I strongly urge the committee to
5 support its approval. Thank you.

6 DR. VASAN: Thank you.

7 Speaker number 3, please state your name and
8 any organization you are representing, for the
9 record. You have five minutes.

10 DR. PHILBIN: Hi. My name is Mike Philbin.
11 I represent FORCE. FORCE is a national nonprofit
12 organization that supports and represents individuals
13 and families affected by hereditary cancer, so I'm
14 here to give a hereditary prostate cancer patient
15 perspective.

16 I'm a retired organic chemist. I'm a 12-year
17 hereditary prostate cancer survivor. Myself, my
18 father, both my brothers are all diagnosed with
19 clinically significant prostate cancer. My father
20 and one of my brothers had metastatic disease and
21 died from the disease, so clinically significant
22 prostate cancer is 100 percent in my family. I was

1 diagnosed with the BRCA2, B-R-C-A-2, mutation
2 germline back in 2020, and I've already stated I
3 volunteer with FORCE since 2021.

4 I'm very aware of all the different cancers
5 now. Men with inherited germline mutations and DNA
6 repair genes, such as BRCA2 or ATM, are more likely
7 to develop prostate cancer at a younger age. I was
8 52. My dad was 55. It was de novo metastatic. He
9 died two years later. They're more likely to have
10 high-grade or metastatic prostate cancer -- my dad
11 and my brother both metastatic -- more likely to have
12 aggressive progression and poorer outcomes.

13 Published data suggest 5 to 10 percent of men
14 with prostate cancer have an inherited germline
15 mutation. And I think it's somewhere in the order of
16 1 in 300 men actually have an inherited germline
17 mutation, yet 5 to 10 percent have prostate cancer,
18 and even a higher proportion in terms of high-grade
19 metastatic prostate cancer.

20 Men with inherited prostate cancer mutations
21 are already underserved by current screening
22 guidelines. I started screening at 40 despite what

1 the screening guidelines were. I was diagnosed at
2 52, and my cancer was caught early, and I've been no
3 evidence of disease since 2014. But a lot of men,
4 treatment options will remain limited, and outcomes
5 are too poor because they're getting diagnosed when
6 the disease has spread.

7 So I'm an organic chemist, so I'm going to
8 pronounce it as capi-vasertib, is how I would
9 pronounce it. So why it matters for patients. Many
10 men with advanced prostate cancer have PTEN loss.
11 The problem with these tumors is they're dealing with
12 higher risk prostate cancer with higher Gleason
13 scores, metastatic disease, and then cancer that
14 keeps growing quickly even after starting usual
15 treatments.

16 The CAPItello-281 trial, to see if adding
17 capivasertib to standard treatment ADT with androgen
18 synthesis inhibitors, could help men with metastatic
19 hormone-sensitive prostate cancer with PTEN loss.
20 The results show the combination helped men go longer
21 before the cancer clearly worsened on scans, the
22 group receiving capivasertib at 7-and-a-half months

1 on average.

2 I volunteered a lot of people with various
3 metastatic diseases, and I can tell you, when you're
4 told that your scan shows that your tumors are stable
5 and not growing, that gives people hope. So that's
6 outside of overall survival and the rest of the
7 stuff. But as a patient, when you're living day in
8 and day out with your scans and seeing what's
9 happened, to hear that your scan is not progressing
10 is a huge thing for a patient to hear.

11 Targeted therapies like capivasertib
12 represent an essential step forward in precision
13 oncology, not just treating prostate cancer broadly,
14 but also treating the molecular drivers of aggressive
15 disease. As a FORCE volunteer on behalf of the FORCE
16 community, patients, and families, and caregivers who
17 live with hereditary cancer risk, I want to emphasize
18 the broader point. People impacted by cancer need
19 timely access to evidence-based treatment options
20 that can extend life and help people live better when
21 strong clinical data show a meaningful benefit for a
22 clearly defined group of patients. FORCE supports

1 making those options available so patients and their
2 doctors can make informed choices.

3 The evidence addresses the important unmet
4 need for men with aggressive forms of prostate
5 cancer, shows a clinically meaningful benefit, a
6 clearly defined high-risk population, reflects
7 risk-benefit balance for patients and clinicians, and
8 can reasonably consider in the setting.

9 And finally, first, I want to thank all of
10 you. I should have done that first. I respectfully
11 ask the committee to keep the patient perspective at
12 the center of today's discussion -- that goes without
13 saying -- and support regulatory decisions that are
14 guided by the best available evidence, especially
15 when the evidence points to a meaningful benefit for
16 a subgroup of patients with limited options.

17 Patients deserve both scientific rigor and a sense of
18 urgency because delays in access can translate to
19 real harms for individuals and families. Thank you
20 for considering the patient voice today.

21 DR. VASAN: Thank you.

22 Speaker number 4, please state your name and

1 any organization you're representing, for the record.
2 You have five minutes.

3 DR. SHORE: Yes. Hi. Apologies. I was
4 having challenges with the mute. Hi. I'm Neal
5 Shore. I'm the Medical Director for GU Oncology at
6 START CANCER RESEARCH. My research site, where I've
7 been for the last 30 years of my career, is in Myrtle
8 Beach, South Carolina. I've done over 500 clinical
9 trials. About 90 percent of them have been in GU
10 oncology.

11 It's a great pleasure to address this very
12 important ODAC today. I have no financial
13 relationship, nor any compensation for presenting my
14 thoughts today. I'm actually here at the Advanced
15 Prostate Cancer Consensus Conference, which meets
16 every two years to discuss and debate unmet needs in
17 prostate cancer. So I'm overseas and wouldn't want
18 to miss this opportunity.

19 I participated in two separate phase 3
20 PIK3/AKT pathway therapeutic trials, one in mCRPC,
21 and then I've had the pleasure to be part of the
22 CAPItello-281. And frankly, I've always been

1 fascinated and very enthusiastic at
2 biomarker-directed trials, regardless of the type of
3 marker, whether it's blood based, tissue based, urine
4 based, whether it's immunohistochemistry or NGS. In
5 this particular case, I want to focus a little bit on
6 the IHC aspect and not be repetitive to the great
7 comments I've already heard today.

8 I've also participated and authored many
9 publications on the importance of NGS testing for HRR
10 alterations to help us guide therapeutic options both
11 as monotherapy and in combination therapies. This is
12 how we will make cancer care -- not just in prostate
13 cancer but across the board -- less of a
14 one-size-fits-all and more truly precision based,
15 personalized, whatever your favorite adjective is,
16 tailor based. I think this is clearly what patients
17 want. It's what physicians want.

18 In 2026, there's a marked underutilization of
19 biomarker testing for prostate cancer patients. I
20 just think that the combination of finding, now in
21 CAPItello-281, that this very scalable, very
22 teachable to community pathologists, not just

1 academic medical centers, where it's very scalable
2 and very economic, this can be done. And this,
3 basically, will further allow patients and physicians
4 to undergo this very vaunted but important concept of
5 shared decision making.

6 Yes, there are some added toxicity. That's
7 added toxicity to whether we go to a doublet or a
8 triplet, or perhaps even in the future, we'll have
9 quadruplets for the right patient, right therapy,
10 understanding what their comorbidities are,
11 understanding that it's the biology that really
12 matters now more than anything else as opposed to the
13 also important aspects of tumor volume and tumor
14 location.

15 So I established IHC PTEN loss testing when I
16 first did a potential trial, and I routinely get it.
17 I've had different community-based pathologists who
18 regularly perform the treatment or the investigation,
19 and I've also worked with industry colleagues,
20 whether it's been in Foundation Medicine, Caris, or
21 Tempus, and I've asked them to get it as well. And
22 that's not been challenging, but what it does do is

1 it informs me.

2 I've also provided docetaxel as a uro
3 oncologist since 2007. I routinely use it. But as
4 has already been stated, and I'm sure you've heard
5 this, it's not particularly well accepted by
6 patients. And for patients who require it, or at
7 least were offered, a very small single-digit
8 percentage typically want to take that. Now, there's
9 no biomarker per se that lends itself to using
10 docetaxel in the mHSPC space. The biology here for
11 IHC PTEN greater than 90 percent deficiency has
12 clearly been demonstrated.

13 As I said earlier, cancer care is assuredly
14 no longer a one-size-fits-all. Understanding what
15 someone's germline, somatic, HRR, TMB high, MSI high,
16 and now PTEN loss, HER2 loss on IHC as well, this
17 allows for the opportunity to expand that very
18 important conversation with patients to say, you have
19 a unique set of a biomarkers, or markers, where we
20 can leverage that, and we could potentially improve
21 your outcome.

22 Delay progression. Patients really enjoy

1 delay of progression. In my experience, very few
2 come in to me and actually say how long do I actually
3 have to live? What is my survival? We understand
4 the importance of research and trials, of course, of
5 the binary component of overall survival. It's been
6 somewhat of the gold standard for years and years in
7 prostate cancer, not so in many other solid tumors.
8 But if we can delay disease, if we can delay the
9 onset, the need for another antineoplastic
10 discussion, and we don't obviate the use of other
11 approved therapies, which is the case in the
12 CAPItello-281 study, then we've done the patient a
13 service by delaying the onset to resistant disease
14 biology, delaying onset of skeletal-related events,
15 which has clearly been demonstrated in CAPI-281.

16 So I think these are really important
17 concepts. It's evolved in the way I treat patients.
18 I really focus on the biology now as the number one
19 aspect of importance, in addition to all the other
20 things that we typically look at, both the volume of
21 disease, location, patient preference, and having the
22 ability to have that shared decision making

1 conversation. We talk about this.

2 DR. VASAN: I'm sorry to interrupt. We're
3 actually at time. Would you be able to just please
4 sum up in five seconds?

5 DR. SHORE: Yes. My apologies. I would just
6 say that it's been a great pleasure to address this
7 to this ODAC panel. Thank you very much. I strongly
8 express my desire, so I hope you vote favorably for
9 its approval. Thank you.

10 DR. VASAN: Thank you.

11 Speaker number 5, please state your name and
12 any organization you are representing, for the
13 record. You have five minutes.

14 MS. CARITHERS: Hello. Thank you. I'm Gina
15 Carithers. I am here as the President and CEO of the
16 Prostate Cancer Foundation. We have no financial
17 relationship in our attendance here today with
18 AstraZeneca. Therefore, I have no conflicts related
19 to this testimony. On behalf of millions of
20 patients, their families that the Prostate Cancer
21 Foundation serves, I'm speaking with you today to
22 express our unequivocal support that the FDA approve

1 this therapy as a targeted therapy. And you've heard
2 this a little bit. It's really essential for men who
3 have PTEN deficiency, that we can treat them
4 specifically.

5 I'll provide a little bit of background on
6 the Prostate Cancer Foundation. We are founded in
7 1993. We've invested over a billion dollars in
8 prostate cancer research, and we're invested heavily
9 in research to develop scientists across this country
10 and the globe who can understand prostate cancer, the
11 biology, and further its development of treatments to
12 the marketplace. We've been involved in
13 14 FDA-approved treatments, at least in the seeding
14 component of those trials. We haven't been involved
15 in this particular product development.

16 We are here today to look at the next steps
17 by addressing the stark unmet need faced by patients
18 specifically with PTEN-deficient metastatic
19 hormone-sensitive prostate cancer. PTEN deficiency
20 is actually common in metastatic hormone-sensitive
21 prostate cancer. These patients are diagnosed with
22 de novo disease, having no prior treatment, and the

1 biology of this disease presents a high risk for
2 these men and a shorter time to death. They also
3 benefit less from the standard of care treatment that
4 we've come to rely on in the next-generation hormone
5 therapies. They experience shorter progression-free
6 survival, overall survival, and they also get quicker
7 onset of skeletal-related events and other
8 consequences of a progressive disease.

9 Upon the onset of castrate resistance, many
10 develop these painful skeletal metastases and
11 experience the morbidity and mortality that come from
12 fractures, and have other poor, near-term outcomes,
13 which is why we invest so heavily in evaluating
14 targeted therapies in the era we're in with science
15 and technology today.

16 Studies clearly show that PTEN is an
17 actionable biomarker. And as you know, capivasertib
18 can target PTEN-deficient tumors by acting as a
19 potent pan-AKT inhibitor that blocks downstream
20 signaling, hyperactivation, resulting from PTEN loss.
21 In the phase 3 CAPItello-281 trial of patients with
22 PTEN-deficient mHSPC, adding capivasertib to the

1 standard of care, abiraterone acetate, prednisone,
2 and ADT, significantly prolonged radiographic
3 progression-free survival in that primary endpoint
4 analysis.

5 This delays the onset of castrate-resistant
6 disease, where in these men, we see it much more
7 rapid than we do our traditional men with metastatic
8 hormone-sensitive prostate cancer without PTEN
9 deficiency or other genetic predisposing factors.
10 These benefits are meaningful for the patient who
11 face a short treatment option.

12 I recently have navigated a man who has this
13 deficiency, a PTEN deficiency, and sitting listening
14 to the medical oncologists having to help that
15 patient understand that his time to progression is
16 necessarily less than otherwise could be projected is
17 a very difficult conversation to listen to. The
18 benefits are meaningful for the patient who face that
19 shortage of treatment options. They represent a
20 world of difference. The need for these patients is
21 urgent, and targeted treatment such as capivasertib
22 plus abi, plus prednisone and ADT, it offers an

1 evidence-based actionable hope. Approving this
2 first-in-class precision combination is how we can
3 deliver that hope to men today.

4 In addition, the laboratory test to assess
5 PTEN deficiency is well established. It's widely
6 available and scalable. Immunohistochemistry for
7 PTEN detection is used routinely in breast cancer and
8 can readily be applied to the physicians in the
9 community, as well as in academic centers across the
10 United States. Patients and family tell us how much
11 access would mean to them.

12 Patients with PTEN-deficient metastatic
13 hormone-sensitive prostate cancer deserve hope, not
14 frustration, and the urgency is clear to act. This
15 is a strong rationale and a solution within reach.
16 The Prostate Cancer Foundation's deep understanding
17 of prostate cancer disease biology, the molecular
18 mechanisms underlying PTEN-deficient forms of mHSPC,
19 and other careful review of the CAPItello-281 trial
20 design, the conduct, the results, make us confident
21 that capivasertib plus ADT and abiraterone can offer
22 an essential new treatment to men who are at this

1 high-risk, high-need category today. And at the
2 heart of everything we do are the men and the
3 families we serve throughout the United States and
4 the world. It is on our behalf, we respectfully urge
5 for your favorable consideration. Thank you.

6 DR. VASAN: Thank you.

7 Speaker number 6, please state your name and
8 any organization you were representing, for the
9 record. You have five minutes.

10 MS. BUGLER: Good afternoon, everyone. My
11 name is Courtney Bugler, and I'm the President and
12 CEO of ZERO Prostate Cancer. I am also a 20-year
13 cancer survivor myself and patient advocate, and I
14 joined ZERO for my dad who is living with prostate
15 cancer himself. And while the applicant has funded
16 some of our work in the past, we have no financial
17 interest in this discussion today, and I have not
18 been personally compensated.

19 ZERO is the voice for the prostate cancer
20 community. We are the number one provider for
21 programs, services, and resources for prostate cancer
22 patients and those at risk. We have things like

1 150 support groups around the country, a ZERO 360
2 patient assistance line, advocacy programs, patient
3 navigation, and as of this morning, the first ever
4 AI-enabled, text-based education and support tool.

5 We are not here to advocate for or against
6 the recommendation that the body will make today.
7 That is not our role here. However, we have read the
8 briefing documents carefully, and we understand the
9 scientific and regulatory questions at stake. I'm
10 here to ask you to consider something that doesn't
11 always appear clearly in clinical trial data, the
12 lived experiences of patients whose lives hang in the
13 balance of your deliberations today. Each data point
14 is a person, a person with traditionally worse
15 outcomes who is begging for options.

16 Let me tell you about Michael. Michael was
17 52 when he was diagnosed with mHSPC. Michael is like
18 so many with the disease, people with jobs, children,
19 grandchildren, but he is also part of a patient
20 population that is PTEN deficient. And when he found
21 out, what he did was what so many patients do. They
22 went home and they searched online. Patients who do

1 that search find some scary numbers. PTEN loss is
2 associated with more aggressive disease and poorer
3 outcomes. They learn their cancer might not respond
4 as well to standard therapies, and they learn there
5 aren't many therapies specifically designed for their
6 tumors.

7 The FDA asks whether rPFS improvement is
8 clinically meaningful in the absence of an effective
9 overall survival, but I want to explain what delaying
10 progression means to the patients that we serve every
11 day at ZERO. When a patient's disease converts from
12 hormone sensitive to hormone resistant, everything
13 changes. It's a turning point. Treatment options
14 become more limited and more toxic, and the future
15 becomes uncertain, and the clock starts ticking
16 faster. Every month a patient remains in the
17 hormone-sensitive state is a month of better function
18 and more hope. That's not just a clinical endpoint.
19 It's time with family, time at work, time living
20 rather than just surviving, more access to salaries
21 and families that keep them going.

22 The FDA notes that median OS is measured in

1 years in this population as if that diminishes the
2 value of delaying progression, but we would argue the
3 opposite. Because those patients have years ahead of
4 them, the quality of those years matter enormously.

5 The FDA acknowledges that PTEN deficiency is
6 associated with poor prognosis but then dismisses the
7 therapeutic implications, saying poor prognosis does
8 not alter the FDA's benefit-risk framework.

9 The framework being used to evaluate this
10 treatment may not fully reflect what matters most to
11 patients. From a patient perspective, this is
12 backwards. Men with PTEN-deficient disease have
13 worse outcomes with standard therapy. And as we have
14 heard by others so far, they are not accessing that
15 triplet therapy that some might argue we should be
16 comparing this against. They are the ones who are
17 calling our ZERO 360 patient assistant line asking,
18 what else can we do?

19 The potential approval that you are
20 discussing today is the next step in precision
21 medicine for prostate cancer and a modern therapy
22 grounded in targeting specific molecular pathways.

1 It is a first-in-class opportunity.

2 Let me be clear. We're not here to approve
3 the approval or the denial. That's your decision to
4 make based on the evidence. But we do ask that you
5 review the data today and you center the patient
6 experience. Consider not just the statistical
7 outcomes, but what these outcomes mean for real
8 people living with this disease.

9 When you weigh the benefits of this
10 treatment, please consider the following: What delay
11 progression means in human terms, months or years of
12 quality of life before disease worsens and before
13 treatment options can become even more limited and
14 more toxic. How patients actually experience and
15 manage side effects with their doctors and what
16 treatment choices patients would make for themselves,
17 not necessarily what the most active therapy is in
18 theory, and the value of options, especially for a
19 first-in-class therapy.

20 The FDA asks in its voting question, does the
21 benefit of adding this to abiraterone and prednisone
22 outweigh the risk? I would ask you to consider a

1 parallel question. For which patients might that
2 answer be yes? And should those patients have the
3 opportunity to make that choice with their doctors?
4 Thank you.

5 DR. VASAN: Thank you.

6 The open public hearing portion of this
7 meeting has now concluded and we will no longer take
8 comments from the audience.

9 Both the Food and Drug Administration and
10 the public believe in a transparent process for
11 information gathering and decision making. To
12 ensure such transparency at the advisory committee
13 meeting, FDA believes that it is important to
14 understand the context of an individual's
15 presentation.

16 For this reason, FDA encourages all
17 participants, including industry's non-employee
18 presenters, to advise the committee of any
19 financial relationships that they may have with
20 industry, such as consulting fees, travel expenses,
21 honoraria, and interest in the sponsor, including
22 equity interests and those based upon the outcome

1 of the meeting.

2 Likewise, FDA encourages you at the
3 beginning of your presentation to advise the
4 committee if you do not have any such financial
5 relationships. If you choose not to address this
6 issue of financial relationships at the beginning
7 of your presentation, it will not preclude you from
8 speaking.

9 We will now proceed with the presentations
10 from AstraZeneca.

11 **Applicant Presentation - Andrew Foxley**

12 DR. FOXLEY: Good afternoon. My name is
13 Andrew Foxley, and I'm the Late Development Franchise
14 Head for Oncology Small Molecules at AstraZeneca.
15 I've been involved in the development of capivasertib
16 since its discovery in 2009, and for the last six
17 years have served as the global lead for its
18 late-stage development.

19 Capivasertib is a first-in-class inhibitor
20 that was approved by the FDA in November 2023 for the
21 treatment of HR-positive HER2-negative PIK3CA/AKT1 or
22 PTEN-altered metastatic breast cancer. Today, we

1 will review the positive phase 3 data for
2 capivasertib in PTEN-deficient metastatic
3 hormone-sensitive prostate cancer or mHSPC.

4 39,000 men are diagnosed with mHSPC in the
5 United States each year. Of these, 25 percent have
6 what we refer to as PTEN-deficient mHSPC. These
7 tumors lack PTEN protein expression in at least
8 90 percent of tumor cells. This indicates a loss of
9 function of the PTEN tumor suppressor. Real-world
10 evidence indicates that patients with PTEN-deficient
11 mHSPC have poorer prognosis and increased risk of
12 mortality. This population was the focus of
13 CAPitello-281.

14 In PTEN-proficient cancer cells, androgen
15 receptor signaling is the primary driver of
16 proliferation. This can be limited by current
17 androgen deprivation regimens. In these cells, the
18 PI3-kinase/AKT pathway is largely naturally
19 suppressed by PTEN. However, in PTEN-deficient
20 cells, loss of PTEN function results in activation of
21 the PI3-kinase/AKT pathway and becomes an independent
22 additional driver of proliferation that is not

1 addressed by current therapeutic approaches.
2 Capivasertib's mechanism of action compensates for
3 the loss of PTEN function by inhibiting the AKT node
4 of the activated PI3K/AKT pathway. This reduces
5 proliferation and delays disease progression to
6 prolong the time that patients can benefit from
7 continued androgen deprivation therapy.

8 CAPItello-281 is the first pivotal study to
9 prospectively enroll a PTEN-deficient mHSPC
10 population. For this study, we made three strategic
11 design choices. First, we drew proof of concept from
12 a small phase 2 trial of ipatasertib, another AKT
13 inhibitor given in combination with abiraterone in
14 castration-resistant disease. The specified primary
15 analysis in the group with 90 percent PTEN loss
16 showed an rPFS hazard ratio of 0.39 versus 0.84 for
17 those with PTEN-proficient tumors.

18 An exploratory analysis later found that a
19 cutoff IHC equivalent to 50 percent was less
20 discriminative. Ipatasertib moved forward into
21 phase 3 in an unselected metastatic
22 castration-resistant prostate cancer population. The

1 prespecified co-primary endpoint for that phase 3 was
2 rPFS in the population with 50 percent PTEN
3 deficiency. We chose to apply a 90 percent cutoff to
4 ensure near complete loss of PTEN. Second, and in
5 another difference from ipatasertib, we studied mHSPC
6 rather than castrate-resistant disease because PTEN
7 deficiency arises early in the disease course.
8 Third, we paired with abiraterone because
9 enzalutamide adversely affects the pharmacokinetics
10 of capivasertib.

11 We initiated CAPItello-281 in 2020 after
12 consultation with the FDA. The primary analysis was
13 performed in October 2024, and after careful review
14 of the data, we filed a supplemental new drug
15 application in August 2025. A day 120 safety update
16 was provided in December 2025, and this added
17 9 months of additional safety follow-up.

18 Given the totality of the evidence that we
19 will discuss today, we are proposing that
20 capivasertib should be approved in combination with
21 abiraterone for the treatment of adult patients with
22 metastatic hormone-sensitive prostate cancer that is

1 PTEN deficient as detected by an FDA-approved test.
2 The agency has asked you to consider whether the
3 benefits of this therapy outweigh the risks for
4 patients with PTEN-deficient mHSPC. Data from the
5 primary endpoint and key secondary endpoints
6 demonstrate the benefits of capivasertib in delaying
7 progression of this high-risk form of prostate
8 cancer.

9 As an add-on therapy, we recognize that
10 capivasertib brings additional adverse events. We
11 have examined the safety data carefully. The
12 majority of these events can be predicted and managed
13 with established strategies, and these have been
14 developed from our extensive experience with our
15 approved indication for capivasertib in breast
16 cancer. We agree that there is some impact on
17 patient-reported symptoms. However, we see limited
18 impact on functional well-being scores. And
19 importantly, the majority of patients reported either
20 no or low bother from side effects. Overall, the
21 risk-benefit balance is in favor of allowing patients
22 with this biomarker selected and aggressive form of

1 prostate cancer to have the option of treatment with
2 capivasertib.

3 Here, you can see the presenters for the
4 remainder of our presentations. Dr. Elisabeth Heath
5 from the Mayo Clinic will discuss the disease
6 background and unmet need. Drs. Gaia Schiavon and
7 Mayur Patel from AstraZeneca will present our
8 efficacy, safety, and patient-reported outcome
9 results. Finally, Dr. Daniel George from the Duke
10 Cancer Institute will provide his perspective on the
11 potential use of capivasertib in clinical practice.
12 We also have Drs. Lotan, Oliveira, and Rugo with us
13 today to respond to your questions.

14 Thank you. And now I'd like to invite
15 Dr. Heath to the podium.

16 **Applicant Presentation - Elisabeth Heath**

17 DR. HEATH: Good afternoon. My name is
18 Dr. Elisabeth Heath, and I serve as Chair of the
19 Department of Oncology at the Mayo Clinic. I am a
20 genitourinary medical oncologist and clinical
21 investigator who has cared for men with prostate
22 cancer for more than 25 years and have conducted

1 clinical and translational research focused on
2 understanding the biology of this disease and
3 improving patient outcomes.

4 I'd like to begin by noting that I have no
5 personal financial interest in the outcome of the
6 data being discussed today. Any compensation
7 associated with my participation in this presentation
8 is provided to my institution rather than to me
9 personally. My remarks today reflect my clinical
10 experience caring for patients with advanced prostate
11 cancer, as well as my interpretation of the
12 scientific evidence in this field.

13 Despite major advances in prostate cancer
14 treatment over the past decade, many men with
15 metastatic prostate cancer continue to experience
16 aggressive tumor biology that is not fully addressed
17 by current therapies. Clinically, the disease
18 progresses from localized to mHSPC, and ultimately
19 metastatic castration-resistant prostate cancer,
20 which is lethal. Upon development of
21 castration-resistant disease, care becomes more
22 complex, requiring additional therapies, monitoring,

1 and greater symptom burden. At this stage, patients
2 experience rapidly worsening disease that is
3 difficult to control, with painful bone involvement
4 and increased urinary symptoms.

5 Today, I will focus on mHSPC, metastatic
6 disease that is still responsive to hormone therapy.
7 While not curable, it is generally highly treatable.
8 For clinicians, this is a critical window in the
9 patient's journey, where treatment choices aim to
10 delay progression to castration-resistant disease.

11 Over the past decade, the treatment landscape
12 has evolved substantially with the introduction of
13 treatment intensification strategies. Today, ADT is
14 commonly combined with androgen receptor pathway
15 inhibitors, or ARPIs, and doublet regimens that are
16 widely used in clinical practice. Triplet strategies
17 that add docetaxel-based chemotherapy are available
18 for high-volume patients, but their use remains low.

19 Treatment decisions today are largely guided
20 by disease burden and risk classifications determined
21 by the presence or absence of visceral metastases,
22 the number of bone metastases, and the Gleason score.

1 Patients with high-volume or high-risk disease
2 generally have poorer outcomes. Once treatment
3 begins, we monitor patients' progress by evaluating
4 their clinical symptoms and by measuring
5 prostate-specific antigen levels, also known as PSA,
6 which is reflective of androgen receptor activity.

7 As our understanding of prostate cancer
8 biology has evolved, it has become increasingly clear
9 that metastatic prostate cancer represents a
10 collection of biologically distinct subtypes. Our
11 treatment paradigm is now evolving towards a
12 biomarker-directed treatment. For example, patients
13 with BRCA2 mutations can now receive niraparib. The
14 next most obvious opportunity for biomarker-directed
15 treatment is the 25 percent of patients who have
16 tumors that are PTEN deficient.

17 We can readily identify PTEN-deficient tumors
18 using immunohistochemistry, which evaluate
19 cytoplasmic PTEN protein expression in tumor cells.
20 Tumors are considered PTEN proficient when
21 cytoplasmic staining is preserved and PTEN deficient
22 when there is near complete loss of staining across

1 tumor cells. One advantage of immunohistochemistry
2 is that it directly measures functional loss of PTEN
3 protein expression, which may not always be fully
4 captured by genomic testing alone. In addition,
5 immunohistochemistry is widely available in clinical
6 pathology laboratories and represents a practical
7 approach for identifying patients whose tumors
8 demonstrate PTEN deficiency.

9 This population faces significant unmet need.
10 Patients with PTEN-deficient tumors have a worse
11 prognosis because of their more aggressive disease
12 biology. In real-world studies, patients with
13 PTEN-deficient mHSPC had a shorter time to
14 progression to castration-resistant disease compared
15 to patients with intact PTEN.

16 Importantly, the poorer prognosis for PTEN-
17 deficient mHSPC is evident even in patients who
18 typically would be considered low risk based on
19 traditional risk factors, and this faster progression
20 unfortunately impacts survival. Four real-world
21 survival outcomes were observed in patients with
22 PTEN-deficient mHSPC when treated with standard of

1 care therapies compared with patients whose tumors
2 retain PTEN function. These data support that
3 targeted therapies are necessary to adequately treat
4 these patients.

5 In summary, patients with PTEN-deficient
6 mHSPC experience faster disease progression and a
7 shorter life expectancy. Despite advances in
8 treatment landscape for mHSPC, standard of care
9 options are inadequate for patients with this tumor
10 biology. This is because PTEN deficiency leads to
11 activation of the PI3-kinase/AKT signaling pathway, a
12 critical independent oncogenic driver that
13 accelerates progression.

14 Here, we have an identifiable and actionable
15 biologically-defined population who we know have
16 worse outcomes. These patients can benefit from
17 targeted treatment intensification as early as
18 possible in their disease course. Adding AKT
19 inhibition to androgen receptor pathway inhibition is
20 an opportunity to improve outcomes for patients with
21 PTEN-deficient mHSPC. The remainder of today's
22 discussion will focus on this strategy.

1 Thank you. Now, I would like to invite
2 Dr. Schiavon to the podium.

3 **Applicant Presentation - Gaia Schiavon**

4 DR. SCHIAVON: Thank you, Dr. Heath.

5 My name is Gaia Schiavon. I'm a Medical
6 Oncologist and Global Clinical Head at AstraZeneca.
7 It's a pleasure to present the clinical efficacy
8 outcomes data for CAPItello-281.

9 CAPItello-281 is the first pivotal phase 3
10 study, enrolling patients with newly diagnosed
11 PTEN-deficient mHSPC. Patients were allowed to have
12 received up to 3 months of prior androgen deprivation
13 therapy, plus or minus abiraterone, and a background
14 of prednisolone or prednisone. Patients with high-
15 and low-risk disease were eligible. The study
16 excluded patients with type 1 diabetes, type 2
17 diabetes requiring insulin, and those with HbA1C of
18 8 percent or higher, which is the target recommended
19 by the American Association of Diabetes for older
20 patients with multiple chronic illnesses, including
21 cancer.

22 1,012 patients were randomized 1 to 1 to

1 treatment with abiraterone and ADT, plus either
2 capivasertib or placebo, all at standard approved
3 doses. Capivasertib was administered 4 days on,
4 3 days off as approved in breast cancer. This
5 intermittent schedule was chosen in early-phase
6 trials based on tolerability and the degree of target
7 inhibition. Following discussion with the agency,
8 the primary endpoint was set at investigator-assessed
9 radiographic progression-free survival, or rPFS, a
10 well-established and validated primary endpoint in
11 prostate cancer.

12 We will share today a number of efficacy
13 analyses looking at endpoints widely adopted in
14 prostate cancer clinical studies, including overall
15 survival. The key inclusion criterion for this study
16 was PTEN deficiency. PTEN status was prospectively
17 determined using central testing with a validated
18 immunohistochemistry assay. IHC was chosen for its
19 low failure rate and its ability to detect the
20 absence of PTEN protein. Pathologists assigned a
21 percentage score of tumor cells with no cytoplasmic
22 staining. This histogram is showing the distribution

1 of PTEN scoring within the screened population.

2 PTEN deficiency was defined by at least
3 90 percent of tumor cells with no staining, which was
4 identified in 25 percent of patients. As expected,
5 IHC had a very high success rate in 25 percent of
6 patients at PTEN-deficient tumors. This resulted in
7 about 1500 patients moving to the next step of
8 screening and 1,012 patients randomized in the study.

9 Today, I will be presenting the results of
10 the primary analysis, which was based on the data
11 cutoff in October 2024 at 39 percent maturity with a
12 median rPFS follow-up of approximately 18 months.
13 The overall survival final analysis will be triggered
14 by 522 deaths and is expected at the end of 2027.

15 The statistical design employed a multiple
16 testing procedure, which followed a simple hierarchy.
17 The two-sided 5 percent alpha is assigned entirely to
18 the primary endpoint, rPFS, then that is cascaded to
19 OS as the first key secondary endpoint, and in turn
20 to the other key secondary endpoints as illustrated.
21 Of note, the plan was to analyze the endpoints below
22 OS, even if OS did not achieve statistical

1 significance at the primary analysis.

2 Baseline patient demographics and disease
3 characteristics were balanced overall between the
4 treatment arms across age, race, and measures of
5 disease severity. Although the majority of patients
6 were classified as high risk and high volume of
7 disease, and notably 19 at visceral disease, more
8 than a third of patients were low risk. Low-risk
9 status is usually associated with less aggressive
10 disease and a better prognosis. However, the data
11 that we will share today show that PTEN deficiency
12 can exist independently of traditional prostate
13 cancer risk factors and is associated with poor
14 prognosis. I will come back to this point shortly.

15 Now let's look at the results of
16 CAPItello-281. The study met its primary endpoint.
17 Capivasertib added to abiraterone led to a
18 statistically significant improvement in radiographic
19 progression-free survival as measured by investigator
20 assessment. The hazard ratio was 0.81. The median
21 rPFS increased from 25.7 to 33.2 months, giving a
22 clinically meaningful 7.5-month benefit. I'd like to

1 point out that the control arm performed markedly
2 poorly, further demonstrating the extent of unmet
3 need of this PTEN-deficient mHSPC population.

4 We conducted several preplanned sensitivity
5 analyses of rPFS to assess the robustness of this
6 endpoint. This included blinded independent central
7 review or BICR. They collectively demonstrated
8 consistency with the primary analyses by investigator
9 assessment. Furthermore, you will see from the
10 briefing document that the FDA has included further
11 sensitivity analyses whilst also concluding
12 consistency with the primary results.

13 Prespecified analyses in clinically relevant
14 subgroups also showed the broadly consistent
15 treatment effect in favor of capivasertib. As
16 expected for small subgroups, we see wide confidence
17 intervals. However, the rPFS hazard ratio for the
18 majority of subgroups lies within the 95 percent
19 confidence intervals of the primary rPFS analysis,
20 supporting consistent benefit. The interim analysis
21 of overall survival shows a hazard ratio of 0.9
22 favoring capivasertib, which is consistent with no

1 detriment at this time. At 26 percent maturity,
2 approximately half of the patients were still
3 receiving study treatment. Further follow-up is
4 ongoing. Notably in the day 120 safety update,
5 capturing an additional 9-month follow-up since the
6 primary rPFS analysis, we see that 50 of the 77 new
7 deaths were in the placebo arm.

8 In addition to the final rPFS and interim OS
9 analysis, we will now present other endpoints that
10 are considered most impactful in clinical practice.
11 As you heard from Dr. Heath, these endpoints
12 represent the major goals of treatment for patients
13 and physicians. Symptomatic skeletal event-free
14 survival is a composite endpoint including radiation
15 therapy, new symptomatic pathological bone fractures,
16 spinal cord compression, surgery for bone metastases,
17 or death. Time to castration resistance, a critical
18 inflection point for this disease, includes
19 radiographic progression, PSA progression, and
20 skeletal events. Finally, time to first subsequent
21 chemotherapy indicates the time from randomization to
22 the start date of subsequent chemotherapy or death,

1 which is important, as chemotherapy is associated
2 with a marked decrease in quality of life.

3 First, let's look at symptomatic skeletal
4 event-free survival. There is a meaningful treatment
5 effect observed with capivasertib. We saw a hazard
6 ratio of 0.82, meaning that patients treated with
7 capivasertib experienced fewer and delayed
8 symptomatic skeletal events. These include new
9 symptomatic pathological bone fractures and spinal
10 cord compressions, which often require surgical
11 intervention. These events are associated with
12 significant pain and morbidity and are a major
13 challenge for patients.

14 I'm now going to show you two endpoints that
15 drive treatment decision making. First is PSA
16 progression, also known as biochemical progression.
17 Capivasertib decreased the risk of PSA progression by
18 27 percent. Traditionally, we would expect PSA
19 progression to drive castration-resistant events, but
20 that is not what we see in this study. Looking now
21 at the composite endpoint of time to castration
22 resistance, which includes PSA progression,

1 capivasertib reduced the risk by 23 percent.
2 However, you can see that there were more than double
3 the number of castration-resistant events compared to
4 the PSA progression events. This means a large
5 proportion of patients enrolled in CAPItello-281 had
6 radiographic progression or a skeletal event in
7 absence of biochemical progression.

8 Treatment with capivasertib does not limit
9 patient's ability to receive subsequent therapy. At
10 this cutoff, about half of the patients were still
11 receiving study treatment. As expected, a number of
12 patients in the placebo arm, higher number, had
13 progressed, and those received subsequent therapy.
14 Cytotoxic chemotherapy was the most common,
15 80 percent of patients in the placebo arm compared
16 with 66 in the capivasertib arm.

17 For those patients who discontinued study
18 treatment due to radiographic disease progression and
19 then received subsequent therapy, the use of
20 chemotherapy was balanced between the arms. This
21 suggests that treatment with capivasertib does not
22 limit the opportunity to use chemotherapy later in

1 the patient's treatment course.

2 As expected with delay progression,
3 capivasertib prolonged the time to subsequent
4 chemotherapy. This prespecified exploratory endpoint
5 showed a hazard ratio of 0.79 and a meaningful
6 13-month delay to the start of chemotherapy and its
7 associated risks.

8 In summary, CAPitello-281 was a
9 well-conducted phase 3 clinical trial that showed
10 improvements in endpoints that relate directly to the
11 patient's clinical experience and the way their
12 disease is managed in the clinic. Taken together,
13 the data demonstrate the consistently meaningful
14 impact of capivasertib in altering the disease
15 trajectory by slowing down disease progression and
16 the development of symptomatic skeletal events, and
17 by delaying the time to treatment changes, including
18 chemotherapy. These benefits were obtained with no
19 indication of overall survival detriment at this
20 time, and follow-up for overall survival continues.

21 With this, I'd like to conclude and pass on
22 to my colleague Dr. Patel.

Applicant Presentation - Mayur Patel

DR. PATEL: Thank you, Dr. Schiavon.

My name is Mayur Patel, and I'm the Vice President for Oncology Patient Safety at AstraZeneca. I will present the safety data from the CAPItello-281 trial, where capivasertib was added to abiraterone androgen deprivation therapy and prednisone in metastatic hormone-sensitive prostate cancer.

The safety profile of capivasertib is well characterized, with 3300 patients treated in clinical trials and over 6,000 patient-years of postmarketing exposure since its approval in breast cancer. Clinicians are familiar with this product and the strategies for monitoring and treating key on-target events of the AKT pathway, including rash, diarrhea, and hyperglycemia.

The approved combination of abiraterone, ADT, and prednisone is associated with cardiometabolic effects, infections, and hepatotoxicity. The safety profile in the CAPItello-281 trial was generally consistent with the known risks when combining these treatments. It is important to consider these risks

1 in the context of the poorer prognosis and the lack
2 of targeted therapies for patients with
3 PTEN-deficient metastatic hormone-sensitive prostate
4 cancer. We will focus on the primary analysis set
5 and conclude with the day 120 safety update.

6 Treatment duration of capivasertib was
7 shorter than placebo, as adverse events in the
8 capivasertib arm were managed with dose
9 interruptions, reductions, and treatment
10 discontinuation as needed. The relative dose
11 intensity and the percentage of intended dose were
12 high across both arms, with treatment durations
13 ranging up to nearly 4 years in the capivasertib arm.
14 This indicates that patients were generally able to
15 receive treatment until progression. Importantly,
16 the addition of capivasertib did not compromise
17 exposure to abiraterone.

18 Over 90 percent of patients in both arms
19 experienced an adverse event of any grade.
20 Capivasertib increased the overall incidence of
21 serious adverse events and grade 3 and higher adverse
22 events compared to placebo. There was a small

1 numerical imbalance in adverse events with an outcome
2 of death, 36 patients in the capivasertib arm and 26
3 in the placebo arm. Dose interruptions and
4 reductions were utilized to effectively manage
5 capivasertib adverse events.

6 Treatment discontinuation of capivasertib
7 occurred early in the study. In most instances,
8 patients were able to remain on abiraterone. The
9 rate of discontinuation is consistent when adding a
10 targeted therapy to standard of care backbone, as
11 observed in the AMPLITUDE study. Nearly two-thirds
12 of the discontinuations due to adverse events
13 occurred in the first 3 months of treatment and
14 stabilized thereafter. This indicates adverse event
15 management is important to enable patients to stay on
16 therapy.

17 There were more all-cause deaths in the
18 placebo arm than in the capivasertib arm,
19 underscoring the severity and the nature of the
20 disease in this high-risk metastatic
21 hormone-sensitive prostate cancer population. Using
22 the primary data cutoff, there was a numerical

1 imbalance in the adverse events with an outcome of
2 death. Fatal adverse events were distributed across
3 multiple system organ classes. There was an early
4 imbalance in deaths reported in infections,
5 particularly sepsis. Across the entire study
6 treatment period, fatal adverse events within the
7 infections and infestations system organ class were
8 balanced between the arms.

9 After a careful review of these early events
10 in both groups, most were attributed to comorbidities
11 in this population. Importantly, in the capivasertib
12 arm, investigators assessed that 30 of the 36 overall
13 deaths were not related to capivasertib. This
14 reflects the challenges of establishing a cause of
15 death in a population with significant comorbidities.

16 Now turning to these serious adverse events,
17 about 40 percent of patients on the capivasertib arm,
18 and approximately a quarter of patients on the
19 placebo arm, experienced a serious adverse event.
20 Infection events were the most common serious adverse
21 events in both arms. Pneumonia was the most
22 frequently reported and events observed in prostate

1 cancer patients, including urinary tract infection
2 and urosepsis. Other serious adverse events were
3 those associated with AKT inhibition: rash,
4 diarrhea, hyperglycemia, and complications like
5 diabetic ketoacidosis, which was precipitated by
6 patients' underlying conditions.

7 The majority of adverse events in both arms
8 were grade 1 and 2, as illustrated by the lighter
9 segment of each bar, capivasertib on the left and
10 placebo on the right. The most common adverse events
11 in the capivasertib arm were diarrhea, hyperglycemia,
12 and rash. In the placebo arm, you see adverse events
13 commonly associated with abiraterone: hypertension,
14 hot flush, and transaminase increases. Despite the
15 occurrence of adverse events, there were no
16 clinically meaningful differences between the groups
17 in patients' functional or physical well-being.

18 We'll now shift to a detailed
19 characterization of the three key capivasertib
20 adverse events starting with rash. Rash presented
21 here uses the prospectively defined group terms
22 associated with AKT related rash. FDA has used the

1 more extensive list, including symptoms like dry skin
2 and pruritis that may not be attributed to rash.
3 Rash incidence in this trial was similar to the
4 breast cancer population previously studied. Rash
5 occurred early with a median onset time of 2 weeks,
6 and two-thirds of the events were grade 1 and 2.
7 Events were treated with antihistamines, topical
8 steroids, and systemic steroids in most patients with
9 grade 3 events. Rash led to discontinuation in about
10 5 percent of patients, and nearly 90 percent of
11 events were reported as recovered or recovering at
12 the data cutoff.

13 Diarrhea was reported in 52 percent of
14 patients, less than what was observed in the breast
15 cancer population. Diarrhea often occurred during
16 the four dosing days, resolving during the three off
17 days. It peaked early in treatment with a median
18 onset time of around 2 weeks. Nearly 90 percent of
19 diarrhea events were grade 1 and 2, and most patients
20 reported 1 to 2 adverse events. Patients
21 self-managed these events with loperamide.
22 Discontinuations were infrequent, occurring in

1 5 patients, four of whom remain on abiraterone.
2 Similar to rash, at the last data cutoff, more than
3 80 percent of patients with diarrhea were reported as
4 recovered or recovering.

5 The final key adverse event is hyperglycemia.
6 Adverse events of hyperglycemia reported in
7 46 percent of patients treated with capivasertib.
8 This incidence is higher than the observed rate in
9 the breast cancer population. CAPItello-281 trial
10 enrolled more patients with type 2 diabetes., more
11 than half had prediabetes at baseline, and the
12 standard of care backbone is known to increase
13 metabolic risk. Most reported events were grade 1
14 and 2. Grade 3 or above, defined as either insulin
15 use or hospitalization, occurred in approximately
16 14 percent of capivasertib patients.

17 Dose interruptions and reductions, along with
18 metformin or other oral hyperglycemic agents, were
19 the main strategies for managing hyperglycemia.
20 Relatively few patients discontinued treatment.
21 Insulin was used in about 13 percent of patients with
22 an adverse event of hyperglycemia. At the 30-day

1 post-discontinuation follow-up, 3.4 percent patients
2 remained on insulin.

3 Lastly, the resolution rates of hyperglycemia
4 at the end of the data cutoff were similar across the
5 arms. So what is the impact of this hyperglycemia,
6 and is it reversible? Here we see the hemoglobin A1C
7 trends displayed over time. A1C on capivasertib
8 increased by about 1 percent above baseline on
9 average within the first few weeks of treatment and
10 reached a mean of approximately 7 percent throughout
11 the study. Mean A1C stays below 8 percent target,
12 recommended by the American Diabetes Association for
13 older patients with multiple chronic illnesses,
14 including cancer. On the right, we see that this
15 effect is reversible. After patients discontinued
16 capivasertib, the A1C levels returned to baseline.

17 We updated our management guidelines for
18 hyperglycemia based on our learnings from this trial
19 along with our breast cancer postmarketing
20 experience. These learnings are also reflected in
21 our commitment to patient safety. Our plan builds
22 upon a comprehensive pharmacovigilance program by

1 postmarketing surveillance and signal section
2 activities from numerous sources. We will closely
3 work with the FDA to ensure appropriate risk
4 communication via product labeling and in the patient
5 information leaflet, noting differences between the
6 breast cancer and prostate cancer populations.

7 Finally, we will provide educational
8 materials for healthcare professionals to support
9 their clinical decision making. And for patients, we
10 will provide symptom trackers to help them report any
11 concerns to their healthcare team. This
12 comprehensive approach supports our commitment to
13 minimize risk in this metastatic hormone-sensitive
14 prostate cancer population.

15 Now, let's shift to the impact of adverse
16 events on patient-reported outcomes. Generally,
17 patients reported little to no bother. In the
18 CAPItello-281 trial, we used the Functional
19 Assessment of Cancer Therapy-Prostate, or FACT-P,
20 survey to collect patient-reported data on the
21 quality of life. The FACT-P question GP5 asks
22 patients about their overall treatment side effects.

1 As shown in the purple and blue, throughout
2 the study, on average, three-quarters of patients
3 receiving capivasertib were not bothered at all or
4 were only a little bit bothered. We see that the
5 high side effect bother, shown here in the orange and
6 red, was reported by fewer than 10 percent of
7 patients throughout the trial. Overall, despite the
8 higher rates of any adverse event, most patients
9 perceive low side effect bother.

10 So how does this translate to patients'
11 quality of life? Here we see the FACT-P functional
12 well-being, which captures aspects such as the
13 ability to work, sleep, and enjoy life. Higher
14 scores indicate better function. There are numerical
15 differences between the arms, favoring placebo, which
16 are not considered clinically meaningful, and scores
17 are generally stable over time. Overall, patients
18 are willing to tolerate manageable side effects when
19 functional well-being is maintained.

20 Finally, we'll look at data from the recent
21 safety update. With an additional 9 months of
22 follow-up, the safety profile has remained stable

1 with no new safety signals. Notably, there were 77
2 new all-cause deaths in this update, of which 50 were
3 in the placebo arm. The imbalance in deaths
4 previously observed has narrowed. Overall, there is
5 no evidence of cumulative toxicities.

6 In conclusion, safety profile of capivasertib
7 is well established since its approval in breast
8 cancer. The key adverse events of diarrhea, rash,
9 and hyperglycemia observed are on-target effects of
10 AKT inhibition. Patients did report experiencing
11 side effects of treatment but did not meaningfully
12 impact how they function day-to-day. Finally,
13 adverse events were managed using labeled strategies
14 requiring monitoring, dose modification, prompt
15 intervention and, importantly, did not impact
16 patients' ability to receive abiraterone. It is
17 important to consider these risks in the context of
18 the lack of targeted therapies in the PTEN-deficient
19 population.

20 Thank you. And now I'd like to invite
21 Dr. George to the podium to provide his clinical
22 perspective.

Applicant Presentation - Daniel George

DR. GEORGE: Hello, and thank you, Dr. Patel.

I'm Dr. Dan George. I'm a Genitourinary Medical Oncologist at Duke, and as a clinician and clinical researcher, I've treated prostate cancer patients for over 27 years. As a member of the steering committee, I was involved in the design and implementation of CAPItello-281. I'm here to provide a clinical perspective on the data that you've heard. I'm a paid consultant to the sponsor, but I have no financial interest in the outcome of this meeting.

CAPItello-281 has confirmed that PTEN-deficient metastatic HSPC is associated with poor prognosis. For example, the LATITUDE study evaluated patients with de novo, high-risk metastatic HSPC, but no other biologic selection. In this trial, abiraterone and ADT demonstrated a median progression-free survival of 33 months. The CAPItello-281 study evaluated patients with high- and low-risk metastatic HSPC, but selected for at least 90 percent PTEN deficiency. In this trial, abiraterone and ADT demonstrated a median rPFS of

1 only 25.7 months.

2 Fortunately, patients with PTEN-deficient
3 tumors can be easily and reliably identified as part
4 of their initial assessment. Testing for PTEN
5 deficiency is best done by immunohistochemistry,
6 which is a standard pathologic test. It's fast, it's
7 scalable, it's inexpensive, and it can be implemented
8 widely. Twenty-five percent of patients have
9 PTEN-deficient metastatic HSPC. Therefore, every
10 patient presenting with advanced prostate cancer
11 should be tested for PTEN status via
12 immunohistochemistry as a standard of care, because
13 now we have an effective treatment for these
14 patients. Our first goal for these patients is to
15 delay progression while maintaining quality of life,
16 and capivasertib does this.

17 CAPItello-281 was a positive study that met
18 its primary endpoint with a clinically meaningful
19 7.5-month improvement in rPFS. But what does delayed
20 time to progression really mean for patients? I
21 would tell my patient that your particular type of
22 prostate cancer makes it more likely that you'll

1 experience a fracture or painful growth in your bones
2 that could be disabling, could require surgery or
3 radiation, and may have a lasting effect on your
4 quality of life. Adding capivasertib can decrease
5 these complications. Likewise, your type of cancer
6 is likely to progress on hormonal therapy more
7 rapidly, even without a rise in PSA, but adding
8 capivasertib can prolong the time until hormonal
9 therapy stops working and delay the need for
10 chemotherapy. This matters because chemotherapy in
11 the metastatic castration-resistant setting is
12 life-altering with high rates of fatigue, nausea,
13 neuropathies, serious infections, and treatment
14 failure.

15 I would also tell my patient that we need to
16 watch for signs of rash and diarrhea within the first
17 2 weeks. I would give them a management plan to
18 intervene with supportive medications like topical
19 steroids or loperamide, and I would proactively
20 contact those patients or have them seen in clinic at
21 that time point.

22 I would also counsel my patient on

1 hyperglycemia and frequently monitor for high blood
2 glucose levels. We expect that most patients who
3 receive capivasertib will experience a modest
4 increase in hemoglobin A1C. We also know that this
5 can be controlled with metformin. And while I would
6 tell my patient that dose holds, and modifications,
7 and even discontinuation may be necessary for acute
8 or severe side effects, we know that most patients
9 can stay on treatment and maintain their quality of
10 life.

11 Based on the body of data, the benefits of
12 adding capivasertib to abiraterone and prednisone
13 outweigh the risks for the population with
14 PTEN-deficient metastatic HSPC. Capivasertib is the
15 first targeted therapy to delay progression and alter
16 the clinical course for these patients. Other
17 systemic treatments such as docetaxel will remain an
18 alternative, particularly for our high-risk,
19 high-volume patients, but many of our patients are
20 either not fit for chemotherapy or refuse it. In
21 addition, 36 percent of CAPItello-281 patients have
22 low-risk cancer for whom chemotherapy is not

1 indicated. Capivasertib addresses an unmet need in
2 25 percent of metastatic HSPC patients. For these
3 patients, the risk tolerance for adding targeted
4 therapy with capivasertib will be an individual
5 decision.

6 Now, I disagree, respectively, with the FDA
7 that the benefits of capivasertib are too small to be
8 considered clinically meaningful. For many patients,
9 a median time to progression of two years is just not
10 long enough, especially since for most patients in
11 metastatic HSPC, their first remission is their best
12 remission. Extending the time to progression by
13 7.5 months, on average, will be worth the risk of
14 side effects that are recognizable, reversible, and
15 treatable. Capivasertib might not be the right
16 treatment for all PTEN-deficient patients, but all
17 PTEN-deficient patients deserve the chance to decide,
18 with their oncologist, whether it's right for them.

19 Now, as you heard from Dr. Heath earlier, our
20 evolving understanding of biomarkers in prostate
21 cancer is allowing us to improve patient care. Until
22 now, we may have tested patients with metastatic HSPC

1 for PTEN deficiency as a prognostic factor, but it
2 has not affected our treatment decisions because
3 we've had no way to target it. Now that we have an
4 effective targeted therapy for metastatic HSPC
5 patients with PTEN deficiency, we must incorporate
6 this precision approach. The combination of
7 capivasertib with abiraterone and ADT should be the
8 first choice considered for these patients. Thank
9 you.

10 DR. VASAN: Thank you, AstraZeneca.

11 We will now proceed with FDA's presentations
12 by Dr. Daniel Lee.

13 **FDA Presentation - Daniel Lee**

14 DR. LEE: Good afternoon. My name is Daniel
15 Lee, and I'm the clinical reviewer for this
16 application. Here are the FDA review team members,
17 and this presentation reflects our collective input.

18 The FDA's discussion for today's ODAC meeting
19 will focus on the overall benefit-risk demonstrated
20 by CAPItello-281. We ask the committee to vote on
21 this question. Based on the CAPItello-281 results,
22 does the benefit of adding capivasertib to

1 abiraterone and prednisone outweigh the risk for the
2 proposed indication?

3 In our presentation, we will explain why we
4 brought this application to ODAC. We will discuss
5 the magnitude of improvement in rPFS, the lack of
6 supportive data from the secondary endpoints and from
7 other prostate cancer studies, and the increased
8 toxicity from the addition of capivasertib.

9 First, while CAPItello-281 met its primary
10 endpoint of rPFS, we are concerned that the
11 demonstrated magnitude of rPFS treatment effects may
12 not be clinically meaningful. The enrolled patients
13 had de novo PTEN-deficient mHSPC, where PTEN loss was
14 defined by immunohistochemistry as at least
15 90 percent of tumor cells with no cytoplasmic
16 staining.

17 Patients were stratified based on two
18 factors. The first, a combination of volume of
19 disease and presence of visceral metastases, and the
20 second, geographic region. They were randomized
21 1 to 1 to capivasertib and abiraterone, or placebo
22 and abiraterone, with the primary endpoint of rPFS

1 per investigator. Approved dosages were administered
2 for all drugs. The key secondary endpoints included
3 OS.

4 Here is the relevant regulatory history. In
5 2020, we stated that for a favorable benefit-risk
6 profile, the trial should demonstrate a large
7 magnitude of improvement in rPFS supported by OS. In
8 the absence of a large improvement in rPFS, an
9 improvement in OS or other clinically meaningful
10 endpoints may be needed to demonstrate clinical
11 benefit. We recommended including OS as a primary
12 endpoint.

13 On November 2023, capivasertib was approved
14 in combination with fulvestrant for advanced breast
15 cancer with PI3-kinase, AKT1, or PTEN alteration. On
16 January 2025, at a formal meeting, we advised against
17 submission of a supplemental new drug application
18 because of concerns around the rPFS magnitude of
19 improvement and overall benefit-risk assessment.

20 The primary endpoint of rPFS by investigator
21 was met with a hazard ratio of 0.81 and an estimated
22 median rPFS of 26 months in the placebo arm and

1 33 months in the capivasertib arm. We note that the
2 median estimates alone do not adequately characterize
3 the treatment effect of rPFS over time. In this
4 case, the median estimates occur in the Kaplan-Meier
5 curves, where there appears to be a larger difference
6 between treatment arms, but where there are fewer
7 patients at risk.

8 To further characterize the treatment effect
9 of rPFS, we also considered exploratory landmark
10 analyses based on the Kaplan-Meier estimated
11 proportions of patients who are progression free and
12 alive, at every 6 months up to 24 months, as shown in
13 the red box and depicted with vertical lines on the
14 figure. We see that the difference between arms was
15 only 2 to 3 percent at 6 and 12 months and only
16 4.7 percent at 24 months.

17 In general, we interpret landmark analyses
18 cautiously, as they're based on specific timepoints
19 rather than representing the entire rPFS distribution
20 over time. Overall, the Kaplan-Meier curves and
21 hazard ratio provide a more comprehensive summary of
22 rPFS results, suggesting a modest treatment effect.

1 We examined the robustness of the primary
2 rPFS findings through multiple sensitivity and
3 supplementary analyses, which revealed important
4 insights about the consistency and magnitude of the
5 treatment effect. Recall our primary rPFS analysis
6 showed a difference in median of 7.5 months with a
7 hazard ratio of 0.81. The hazard ratio point
8 estimates ranged from 0.77 to 0.89 across the
9 sensitivity analyses. Although the sensitivity
10 analyses appeared to be generally consistent with the
11 primary analysis results, we remain concerned about
12 the clinical meaningfulness of the magnitude of
13 improvement of rPFS.

14 To assess the clinical meaningfulness of the
15 CAPItello-281 results, we refer to the previously
16 approved therapies in mHSPC. As Dr. Chang noted,
17 there is concern with clinical meaningfulness of the
18 CAPItello-281 results in the context of previous
19 approvals in mHSPC. We will first focus on the
20 approvals that included rPFS as a primary endpoint.

21 The four rPFS-based approvals were supported
22 by trials demonstrating large clinically meaningful

1 treatment effects. Three of them were based on
2 androgen receptor pathway inhibitor doublets with
3 androgen deprivation therapy, or ADT, compared to
4 placebo with ADT. The most recent approval was based
5 on AMPLITUDE for the combination of niraparib with
6 abiraterone, prednisone, and ADT in patients with
7 BRCA2 mutated mHSPC. The control arm therapy in this
8 trial was the same as the control arm therapy in
9 CAPItello-281, which comprises abiraterone,
10 prednisone, and ADT. The rPFS hazard ratio estimate
11 of 0.46 was similar to other approvals in all-comers
12 indications. While overall survival data were
13 immature at the time of approval, 22 percent of
14 patients had died on the niraparib arm compared to
15 34 percent on the placebo arm.

16 While the FDA does not have a comparative
17 efficacy standard, this table provides examples of
18 rPFS treatment effects that we have considered
19 clinically meaningful. Two approvals that FDA
20 granted for mHSPC were based on OS in LATITUDE and
21 ARASENS trials, which led to the approvals of
22 abiraterone and darolutamide with docetaxel,

1 respectively. ARASENS was a contemporary trial with
2 an active control arm therapy of docetaxel with ADT.
3 Regardless of whether OS is a primary endpoint or
4 statistically significant, the FDA considers OS an
5 important component of the benefit-risk assessment
6 framework.

7 The past six approvals for mHSPC were
8 supported by large improvements in rPFS, OS benefit,
9 or both. In contrast, capivasertib shows a modest
10 rPFS hazard ratio of 0.81 without OS benefit.
11 Therefore, we question whether the rPFS improvement
12 observed with capivasertib is clinically meaningful.

13 While the choice of control arm therapy in
14 CAPItello-281 was acceptable at the time of the trial
15 design, and this therapy remains an option, it
16 currently does not appear to reflect the most active
17 or preferred therapy for the enrolled population.
18 CAPItello-281 enrolled patients with metastatic
19 disease at initial diagnosis, and approximately
20 three-quarters of enrolled patients had high-volume
21 disease, defined as either visceral metastases or at
22 least four bone metastases with at least one beyond

1 the vertebral bodies and pelvis.

2 High-volume prostate cancer that is
3 metastatic at initial diagnosis, also referred to as
4 de novo, or synchronous high-volume mHSPC, is
5 associated with aggressive biology and a poor
6 prognosis. Thus, for patients who have synchronous
7 high-volume mHSPC and are fit for chemotherapy,
8 guidelines and expert consensus encourage triplet
9 therapy, consisting of an androgen receptor pathway
10 inhibitor, chemotherapy with docetaxel, and ADT.
11 Therefore, if the control arm patients in
12 CAPItello-281 had received the triplet therapy, it is
13 plausible that the rPFS benefit with capivasertib may
14 have been even more modest.

15 In the absence of an improvement in OS, and
16 with the added toxicity of capivasertib, the activity
17 of the control arm compared to other available
18 therapies needs to be considered to interpret the
19 meaningfulness of the rPFS improvement. While we
20 consider rPFS to be an acceptable primary endpoint
21 for mHSPC, we also consider other supportive data
22 such as secondary endpoints in our benefit-risk

1 assessment.

2 The key secondary endpoint of overall
3 survival was not statistically significant at the
4 interim analysis, with 51 percent information
5 fraction. The results do not show evidence of
6 detriment, with a hazard ratio of 0.9. An OS benefit
7 would have addressed our concerns about the clinical
8 meaningfulness of the rPFS results. However, given
9 that the study design did not include a formal power
10 calculation for OS, CAPItello-281 may not show a
11 statistically significant improvement in OS. The
12 final OS analysis is predicted to occur between 2027
13 and 2028.

14 Since OS was not statistically significant,
15 all other key secondary endpoints included in the
16 testing hierarchy were not formally tested, and their
17 results were considered exploratory. Time to first
18 subsequent therapy showed a hazard ratio of 0.91.
19 The most common subsequent therapy was chemotherapy,
20 and we note that the applicant reported results of
21 time to first subsequent chemotherapy. Overall, both
22 time to first subsequent therapy and time to first

1 subsequent chemotherapy are difficult to interpret
2 because timing of next therapy may be dependent on
3 factors other than progression.

4 For symptomatic skeletal event-free survival,
5 results showed a hazard ratio of 0.82, and for time
6 to pain progression, results showed a hazard ratio of
7 1.14. Although results for symptomatic skeletal
8 event- free survival appeared to numerically favor
9 the capivasertib arm, we question the clinical
10 meaningfulness of this result, given that time to
11 pain progression showed few events and no numerical
12 trend for an improvement with capivasertib.

13 The applicant also presented other
14 exploratory endpoints in the briefing book. The
15 clinical relevance of time to PSA progression and
16 time to castration resistance may be limited. The
17 time to castration-resistance endpoint is driven by
18 rPFS events and PSA progression events. PSA
19 progression does not always necessitate more
20 aggressive therapy or a biologic inflection point, as
21 radiographic progression events are considered more
22 meaningful. Therefore, the time to PSA progression

1 and time to castration resistance endpoints may
2 provide little useful information for regulatory
3 purposes. Overall, the results of secondary and
4 exploratory endpoints do not overcome the concerns
5 with the uncertain clinical meaningfulness of the
6 rPFS results.

7 Capivasertib has not demonstrated additional
8 benefit when added to standard of care therapies in
9 other prostate cancer settings. CAPItello-280
10 randomized patients with mCRPC who had received prior
11 AR pathway inhibitor but were chemotherapy naive, to
12 docetaxel and ADT, with or without capivasertib. In
13 contrast to CAPItello-281, patients in CAPItello-280
14 were unselected for PTEN status due to the rationale
15 that docetaxel activates the AKT pathway. The dual
16 primary endpoints were OS and rPFS.

17 The trial was deemed futile for efficacy, as
18 the rPFS hazard ratio was 0.98 and the OS hazard
19 ratio was 1.22. In addition, the prespecified
20 exploratory analysis did not suggest a more favorable
21 OS for rPFS treatment effects for the PTEN-deficient
22 patients. The trial was terminated in April 2025

1 following IDMC recommendation for futility and safety
2 concerns. Despite the differences between
3 CAPItello-280 and CAPItello-281, the demonstration of
4 futility in CAPItello-280 increases our concern
5 regarding the efficacy and safety of capivasertib in
6 patients with metastatic prostate cancer.

7 In the briefing document, the applicant
8 stated that the potentially added efficacy from AKT
9 pathway inhibition was supported by the phase 2 ASTON
10 MARTIN trial. This was a hypothesis-generating trial
11 evaluating the combination of ipatasertib, another
12 AKT inhibitor, with abiraterone and prednisone. PTEN
13 loss was not a stratification factor, and preliminary
14 efficacy results should therefore be interpreted with
15 caution, as baseline covariates might have been
16 imbalanced.

17 Importantly, this trial led to a larger
18 randomized trial, IPATential150, with 1101 patients,
19 which evaluated the combination of ipatasertib plus
20 abiraterone versus placebo plus abiraterone, as
21 first-line therapy in patients with PTEN-deficient
22 mCRPC. The trial met the dual primary endpoint of

1 rPFS in the PTEN-deficient population, with a hazard
2 ratio of 0.77 and an improvement in median rPFS of
3 approximately 2 months, but there was no improvement
4 in OS, with a hazard ratio of 0.94.

5 While the rPFS result was statistically
6 significant, FDA would not have considered this
7 efficacy result to be clinically meaningful. While
8 ipatasertib is a different drug from capivasertib,
9 the trial was conducted in patients with mCRPC rather
10 than mHSPC, and there were differences in the
11 definition of PTEN deficiency. These results do not
12 appear to support inhibition of the AKT pathway in
13 patients with PTEN-deficient prostate cancer.

14 We have thus far discussed our uncertainty
15 about the clinical meaningfulness of the efficacy of
16 capivasertib when added to abiraterone and
17 prednisone. We found a lack of data from secondary
18 endpoints and other trials suggest a clinically
19 meaningful effect.

20 Let's turn to our safety analysis to examine
21 the toxicity profile of this combination therapy.
22 Our summary of safety data will rely on the day 120

1 update to more closely reflect the anticipated
2 clinical experience, including prolonged exposure.
3 These data include approximately nine additional
4 months of follow-up compared to the data summarized
5 with a primary rPFS analysis.

6 Overall, the combination of capivasertib with
7 abiraterone resulted in increased toxicity and
8 decreased tolerability compared to placebo with
9 abiraterone. For example, there was a 26 percent
10 higher incidence of grade 3 or higher adverse
11 reaction among patients treated with capivasertib
12 compared to placebo. Eight percent of patients
13 treated with capivasertib died due to adverse
14 reactions compared to 6 percent treated with placebo.
15 Twenty percent of patients treated with capivasertib
16 discontinued capivasertib due to adverse reactions
17 compared to 6 percent treated with placebo
18 discontinued placebo due to adverse reactions. Ten
19 percent of patients treated with capivasertib
20 discontinued abiraterone due to adverse reactions
21 compared to 6 percent treated with placebo.

22 While the applicant states that many of the

1 adverse reactions associated with capivasertib were
2 successfully managed and reversible with supportive
3 treatments or dose modification, the proportion of
4 patients with fatal adverse reactions was still
5 increased in patients receiving capivasertib compared
6 to placebo. Among the fatal adverse reactions on the
7 capivasertib arm, more than a third occurred within
8 the first 3 months. This was more than double the
9 number of adverse reactions leading to death in the
10 placebo arm during the same timeframe.

11 As the median overall survival is on the
12 order of several years for mHSPC, this imbalance of
13 adverse reactions leading to death is alarming,
14 especially because prior to therapy, the patients in
15 CAPitello-281 met extensive eligibility criteria to
16 ensure safety of trial participation. In the
17 briefing book, the applicant states that adverse
18 reactions leading to death were distributed over
19 several preferred terms, and most were not assessed
20 as causally related to capivasertib. However, the
21 most common causes of fatal adverse reactions were
22 sepsis, ischemic cardiovascular events, and

1 hemorrhage.

2 We observed an increased incidence of high
3 grade but nonfatal versions of these reactions as
4 well, highlighting that the addition of capivasertib
5 might have increased the risk of these events.
6 Additionally, the risk of infections and ischemic
7 cardiovascular events may be increased with
8 hyperglycemia, a known risk of capivasertib
9 treatment. Therefore, capivasertib might have
10 increased the risk of death for some of these
11 patients.

12 To illustrate the potential impact of therapy
13 on patient experience, we highlight narratives from
14 two patients who died after receipt of capivasertib
15 with abiraterone and prednisone.

16 A 74-year-old man with no history of diabetes
17 mellitus developed septic shock with severe
18 hyperglycemia and multiorgan failure after 10 days of
19 therapy, dying on day 11 despite intensive care,
20 including dialysis and vasopressors. The patient
21 presented with severe metabolic derangement,
22 including glucose of over 1,000, acute renal failure,

1 and sepsis from urinary tract infection. A
2 77-year-old man with no relevant medical history
3 developed drug eruption with widespread rash, glucose
4 of 789, and fever after 10 days of therapy,
5 progressing to sepsis with respiratory failure, and
6 dying on day 23 despite intensive care.

7 While early treatment-related deaths are not
8 unprecedented in large metastatic prostate cancer
9 trials, these cases highlight the need to carefully
10 consider the benefits and risks of the addition of
11 capivasertib to abiraterone and prednisone.

12 Here, we highlight some common reasons for
13 discontinuations of capivasertib or placebo.
14 133 patients discontinued capivasertib, 93 due to
15 adverse reactions and 40 due to patient decision. In
16 contrast, 40 patients discontinued placebo, 24 due to
17 adverse reactions and 16 due to patient decision.
18 This observed imbalance of discontinuation between
19 the two arms raises concern about drug toxicity and
20 tolerability, and shows that the benefit-risk of
21 adding capivasertib may be unfavorable, which we will
22 discuss in the next few slides.

1 The most common adverse reactions with
2 greater than 10 percent difference between the arms
3 included infections, skin reactions, diarrhea,
4 hyperglycemia, and anemia. Skin reactions, diarrhea,
5 and hyperglycemia are on-target effects from AKT
6 pathway inhibition. The incidence of skin reactions
7 was 53 percent among patients treated with
8 capiwasertib compared to 17 percent among patients
9 treated with placebo. Three percent of patients had
10 erythema multiforme, most of which were grade 3.

11 Similarly, the incidence of diarrhea was
12 53 percent among patients treated with capiwasertib
13 compared to 9 percent among patients treated with
14 placebo. These adverse reactions caused frequent
15 dose reductions, interruptions, and discontinuations.
16 The high incidence of hyperglycemia is also
17 clinically relevant. We will discuss this in detail
18 later.

19 While any grade adverse reactions are
20 clinically meaningful and can impact patient
21 experience, higher grade adverse reactions generally
22 have even greater clinical meaningfulness, which we

1 will discuss next.

2 The most common grade 3 to 4 adverse
3 reactions with greater than 5 percent difference
4 between the arms included infections, skin reactions,
5 hyperglycemia, and diarrhea. Common infections
6 included urinary tract infection and pneumonia. The
7 criteria for grade 3 or higher skin reactions are
8 limitation of self-care activities of daily living,
9 or covering greater than 30 percent of body surface
10 area. Such skin reactions occurred in 17 percent of
11 the capivasertib arm compared to less than 1 percent
12 of the placebo arm.

13 Overall, CAPItello-281 demonstrated an
14 increased incidence across almost 40 terms related to
15 skin reactions. These included terms that are not
16 typically considered rash, such as dry skin, skin
17 fissures, and pruritus. Thus, our analyses captured
18 higher numbers compared to the applicant's analyses,
19 limited to fewer terms. Overall, grade 3 to 4
20 adverse reactions, including many life-altering
21 events, occurred in 63 percent of the patients
22 treated with capivasertib. Although the applicant

1 states that many of these are managed and reversible
2 with supportive treatments or dose modification,
3 supportive treatments still increase patient burden.
4 We will examine this further in the next slide
5 focusing on hyperglycemia.

6 Hyperglycemia was a common adverse reaction
7 with greater overall and grade 3 to 4 incidence in
8 the capivasertib arm compared to placebo. Among the
9 15 percent of patients in the capivasertib arm with
10 grade 3 to 4 hyperglycemia, 6 patients, or
11 1.2 percent, had diabetic ketoacidosis or DKA. There
12 was also a death due to DKA. The median time to
13 onset of DKA was almost 9 months, highlighting the
14 risk of serious toxicities with cumulative and
15 prolonged exposure to capivasertib.

16 In CAPItello-281, 17 percent of patients
17 required initiation with insulin. The rates of
18 grade 3 to 4 hyperglycemia, and initiation or change
19 of antihyperglycemic medications such as insulin,
20 were several-fold higher in this trial compared to
21 the breast cancer trial that led to approval of the
22 drug. This likely reflects the risk of combining

1 prednisone with capivasertib in CAPItello-281.

2 Additionally, exposure to capivasertib was
3 longer. The median drug exposure was 17 months in
4 CAPItello-281 compared to 5 months in the breast
5 cancer trial. Thus, while the applicant considers
6 the safety profile to be consistent with the known
7 safety of capivasertib, there are unique challenges
8 for patients with prostate cancer.

9 Patient-reported outcomes help gain a
10 complete picture of tolerability. PRO-CTCAE and FACT
11 item GP5 were among the PRO measures used to assess
12 patient symptoms and functioning in CAPItello-281.
13 As we observed with the clinician-reported adverse
14 reaction data, patients in the capivasertib arm
15 consistently reported a higher rate of diarrhea and
16 severity of skin symptoms while on treatment. For
17 example, at week 8, half the number of patients who
18 received capivasertib compared to placebo, 38 percent
19 versus 75 percent, reported freedom from diarrhea in
20 the preceding 7 days.

21 Overall side effect bother can be used as a
22 measure when patients may experience a wide range of

1 potential treatment-related side effects that impact
2 day-to-day functioning, such as diarrhea or skin
3 toxicity. The FACT item GP5 is a summary measure of
4 the overall impact of treatment toxicity. Patients
5 indicate their response to, I am bothered by side
6 effects of treatments, and in CAPItello-281, patients
7 in the capivasertib arm had higher rates of bother
8 after baseline.

9 In the stacked bar charts, the light blue bar
10 indicates no bother and the other colors indicate
11 increasing levels of bother up to very much. Note
12 the greater percentages of patients who reported
13 somewhat, quite a bit, and very much bother in the
14 capivasertib arm compared to the placebo arm. For
15 example, at the first post-baseline assessment
16 timepoint on week 4, the majority of patients in the
17 capivasertib arm reported some level of bother,
18 including 10 percent with severe bother defined as
19 quite a bit or very much. Similar results were
20 observed through week 52.

21 Additionally, while the proportion of
22 patients reporting very much bother appears to

1 decrease after week 4, many of the later timepoints
2 do not include patients who discontinued treatment
3 due to adverse reactions. Overall, the
4 patient-reported outcomes strongly support the
5 clinician-reported adverse reactions and clearly show
6 that patients who received capivasertib had higher
7 rates and severity of diarrhea and skin symptoms,
8 which impacted the overall side effect bother.

9 Overall, the combination of capivasertib,
10 abiraterone, and prednisone led to high rates of
11 toxicities such as hyperglycemia and rash,
12 accompanied by complications. Patient-reported
13 outcomes results are in line with the observed
14 clinician-reported adverse reactions and treatment
15 discontinuation results, demonstrating that there are
16 tolerability concerns with capivasertib.

17 Although many of the common adverse reactions
18 observed with capivasertib are known on-target
19 toxicities associated with the AKT pathway, the
20 proposed indication in mHSPC presents a higher risk
21 of hyperglycemia in combination with prednisone
22 compared to the approved indication in breast cancer.

1 Additionally, the higher proportion of patients with
2 drug discontinuation before progression of disease in
3 the capivasertib arm raises concern that the efficacy
4 results may not be clinically meaningful.

5 The applicant states that the adverse
6 reactions with capivasertib in combination with
7 abiraterone are of similar incidence and nature to
8 those observed with other intensification regimens in
9 mHSPC. However, much of the high-grade toxicity
10 reported with triplet regimens containing
11 chemotherapy were hematologic, which are
12 qualitatively distinct, particularly in the context
13 of its shorter duration of therapy.

14 I will now summarize our assessment and
15 concerns related to the proposed application for the
16 treatment of patients with PTEN-deficient mHSPC.
17 CAPItello-281 demonstrated a statistically
18 significant improvement in rPFS. However, we are
19 concerned that the benefit-risk of adding
20 capivasertib may be unfavorable in the absence of OS
21 benefit. Furthermore, the addition of capivasertib
22 to abiraterone and prednisone was accompanied by

1 increased toxicity, including higher rates of grade 3
2 or higher adverse reactions, including deaths, need
3 for antihyperglycemic medications, and insulin.

4 We request the committee to consider the
5 clinical meaningfulness of these findings. The
6 relevance of delaying progression must be weighed
7 against the toxicity burden in an early metastatic
8 population with few baseline symptoms. Patients and
9 providers cannot distinguish capivasertib efficacy
10 from abiraterone for individual patients. Because
11 capivasertib is added to an effective therapy, even
12 patients not benefiting may be exposed to it for
13 extended periods.

14 While PTEN deficiency appears to be a poor
15 prognostic marker, the control arm outcomes reflect
16 the degree of unmet need. In the control arm, the
17 majority of patients had few or no symptoms, median
18 rPFS was more than two years, and median OS is not
19 yet reached. Ultimately, in both a
20 biomarker-selected population or an all-comers
21 population, for an add-on trial design, the magnitude
22 of the treatment effect needs to be considered in the

1 context of the added toxicity.

2 The discussion topic and question for the
3 advisory committee is, based on the CAPItello-281
4 results and safety data, does the benefit of adding
5 capivasertib to abiraterone and prednisone outweigh
6 the risk for the proposed indication?

7 This concludes my presentation. Thank you
8 for your time.

9 DR. VASAN: Thank you.

10 We will take a quick 10-minute break. Panel
11 members, please remember that there should be no
12 discussion of the meeting topic during the break
13 amongst yourselves or with any member of the
14 audience. We will resume at 3:30 p.m.

15 (Whereupon, at 3:19 p.m., a recess was taken,
16 and meeting resumed at 3:30 p.m.)

17 **Clarifying Questions**

18 DR. VASAN: We will now take clarifying
19 questions to the presenters. When acknowledged,
20 please remember to state your name for the record
21 before you speak and direct your question to a
22 specific presenter if you can. If you wish for a

1 specific slide to be displayed, please let us know
2 the slide number if possible. Finally, it would be
3 helpful to acknowledge the end of your question with
4 a thank you and end of your follow-up question with,
5 "That is all for my questions," so we can move on to
6 the next panel member. So if people could just put
7 up their placards, and we will take note.

8 I will start for the first clarifying
9 question to the sponsor. Neil Vasan, NYU Langone.
10 You showed PFS by bins for PTEN. Do you have those
11 data for overall survival?

12 DR. FOXLEY: We don't have those in the deck.
13 No, I don't believe so.

14 DR. VASAN: SO there's no analysis of --

15 DR. FOXLEY: I'll ask Chris Gresty, our
16 statistician, to come to the podium.

17 DR. VASAN: Okay.

18 DR. FOXLEY: We don't have them. Sorry.

19 DR. VASAN: All right. And the second
20 question --

21 DR. FOXLEY: May I just follow up with that?

22 DR. VASAN: Yes.

1 DR. FOXLEY: The reason for that is that we
2 were at 26 percent maturity at the time of the
3 primary analysis, so cutting into bins for overall
4 survival would have been very unreliable. So we
5 didn't do that for OS.

6 DR. VASAN: All right.

7 DR. SUZMAN: Sorry. I believe we have those
8 available. If you'd like to put up --

9 DR. VASAN: Oh, okay.

10 DR. SUZMAN: -- backup slide 54.

11 DR. CHANG: Backup slide 54, yes.

12 DR. VASAN: Sorry. Can you please introduce
13 yourself?

14 DR. SUZMAN: Yes. Sorry. Daniel Suzman,
15 FDA. Please put up backup slide 54 from the FDA
16 deck.

17 (Pause.)

18 DR. VASAN: I don't know if the FDA wants to
19 describe what's on the slide, commentary.

20 DR. CHANG: I can start. This is Elaine
21 Chang, FDA, clinical, and then our statistical
22 colleagues can chime in. We have the different

1 categories. PTEN loss greater than equal to 90 is
2 the ITT, and then PTEN loss greater than equal to
3 95 percent loss, and then 100 percent loss.

4 So you were interested in the OS analyses,
5 and in the red box, you can see the patients that
6 have more loss, and then in the green box is the
7 complementary subgroup. So patients who have less,
8 like between 90 to 95 percent, the OS hazard ratio
9 was 1.72 with a 95 percent conference interval of
10 0.93 to 3.3.

11 So we acknowledge that there appears to be
12 some trend, and some of these small groups may not be
13 that small. You can see the n's in the rPFS column,
14 814, 198, but these subgroups are still exploratory.
15 PTEN loss was not a stratification factor. The
16 range, I think since the OS results -- even an ITT
17 population was not significant, so I think we would
18 focus more on the rPFS results. And even the rPFS
19 results, for example, 0.7 to 0.77, those effects were
20 not in the range of what we have considered
21 clinically meaningful in the past, the other FDA
22 approvals.

1 The other point that I would make is that the
2 diagnostic, the IVD, the in vitro diagnostic, was
3 validated at the 90 percent level, so considering,
4 these other cutoffs would require additional
5 validation work.

6 DR. VASAN: Thank you. That is all.

7 The next question is Dr. Rini.

8 DR. RINI: Thanks. I appreciate it. First
9 of all, I want to congratulate the sponsor for a
10 great presentation and also congratulate you for
11 doing a prospective biomarker-based trial. We talk a
12 lot about doing it, but very few sponsors have the
13 guts to pull it off, so I congratulate you on doing
14 it.

15 I think we've heard a lot today about
16 benefit-risk and concerns from the FDA. So my
17 question, maybe to one of the clinicians in the room,
18 Dr. Heath or George, is by my back-of-the-envelope
19 calculations, it seems the number needed to treat to
20 prevent an rPFS event is about 15. But about 17
21 percent, or 1 in 6, required insulin, which I found
22 quite a high number and quite a disturbing toxicity.

1 So help me reconcile that, or how would you
2 reconcile that sitting in front of a patient if
3 you're presenting them this option?

4 DR. FOXLEY: Dr. George?

5 DR. GEORGE: Yes. Dan George, Duke. Yes.
6 So they're really important points, but they're very
7 different. So when I think about rPFS, it's kind of
8 how you define in a number needed to treat what is an
9 rPFS event. So in these cases, we're talking about
10 symptomatic skeletal event, we're talking about a
11 death, or we're talking about a radiographic
12 progression. So these are significant life-altering
13 events for these patients.

14 When you talk about the need for insulin, the
15 need for insulin was relatively low, but it was also
16 relatively short. The median time on insulin was
17 8 days. These are essentially patients that show up
18 with a high glucose. We have to hold drug. We have
19 to get them on insulin. A week later, they're back
20 down, and they're off drug, and we've had metformin
21 on board. So it's a very transient short period for
22 most patients.

1 The number of patients that end up long term,
2 I think it was like 3.4 percent. So this is a much,
3 much lower long-term concern than, say, the
4 progression, the radiographic progression event. So
5 to me, when we're talking to these patients, and
6 we're recognizing that for this disease, we don't
7 have any other proven prospective drug that can
8 change this outcome, being able to do that is really
9 key, and recognizing that, yes, we have to recognize
10 this risk. We have to be on top of it. But it's a
11 manageable risk long term in most of those patients.

12 DR. RINI: Thank you.

13 DR. VASAN: Thank you.

14 The next question is Mr. Conway.

15 MR. CONWAY: Thank you, Chair. I direct this
16 question I guess to Mr. Foxley or to Mayur Patel, and
17 it's this. Thank you very much for your
18 presentation. I found it very informative, as well
19 as the FDA's. There's been a lot said today about
20 patient burden, some aspects of quality of life and
21 patient-reported outcomes. As a kidney patient and
22 also as a prostate cancer survivor, I find that

1 interesting when it's talked about.

2 Could you step out of your PowerPoint and
3 tell us how you used patient insight data and lived
4 experiences to inform the work that you did on this
5 study and the trial? You've been clear about it on
6 several slides, but what is it about this population
7 that informed your work throughout the study?

8 DR. FOXLEY: So in terms of direct contact
9 with patients, we did not do that during the course
10 of the study. We did, however, talk a great deal to
11 our steering committee, who have first-hand
12 experience of managing those patients and the
13 toxicities they'd face.

14 I'd like to ask Dr. Heath to come to the
15 podium because she manages these patients on a
16 day-to-day basis and can give you some insight into
17 how they think about their disease and how they think
18 about that balance between benefit and the burden
19 that they may face from the therapies.

20 DR. HEATH: Thank you so much for your
21 question. It's just so relevant for us in practice
22 and recognizing what patients have to go through.

1 The diagnosis itself, obviously, of metastatic
2 disease is devastating in any way, shape, or form,
3 but how to really get to the treatment plan, what is
4 the next step, is everything. And patients, as
5 you've said, go through a lot, stay on different
6 drugs, and have to endure a lot. But if we know that
7 the treatment offered is their best first step, there
8 is some comfort to that.

9 To your point, what do patients have to
10 undergo? Stepping out of all of the charts and the
11 figures, what does this really mean for a patient I
12 would see in my own practice come Monday morning at
13 Mayo Clinic, it would mean that I would have a very
14 careful shared decision making discussion.

15 What I've learned, what we've all learned,
16 not just from this prostate study but also from our
17 breast cancer colleagues, is the side effects are
18 real but manageable. Early intervention, as noted by
19 the package insert, because it is an approved drug,
20 tells you exactly what to do. I think these are
21 things that when you're in the thick of a trial, you
22 don't always know. You're doing things as prescribed

1 from years of implementation, and then you execute.
2 And then at the end you say, boy, had I known, and so
3 on. But what I feel is important for patients, and
4 what I would offer, is what I believe is the right
5 treatment for this really aggressive biology cancer.

6 I'll tell you a frightening thing that I
7 found during this process, too. When you see a
8 patient that progresses on their scans, and it
9 catches you off guard because those metastatic
10 hormone-sensitive patients, they don't get routine
11 scans -- this is not the mCRPC space where they're
12 just showing up every three months doing things. So
13 here, you're relying on PSA a lot. And when you
14 don't see that worsening, but then get caught from
15 the scans progressing, you start to realize, this is
16 a different biology. So early intervention,
17 education, awareness, I think is really the key to
18 success here. Thank you.

19 MR. CONWAY: Thank you.

20 DR. VASAN: Would the FDA like to comment? I
21 think this is a very important point about toxicity
22 and what this would look like in the real world.

1 DR. BHATNAGAR: Absolutely. Vishal
2 Bhatnagar, FDA. So I think tolerability and toxicity
3 is something that's really important to measure from
4 the patient perspective. And to that point, it's
5 patients that have to reconcile with the side effects
6 of these therapies on a daily basis. One of those
7 side effects, many were mentioned, is diarrhea. So
8 I'd ask if we could pull up backup slide regarding
9 PRO-CTCAE data on diarrhea.

10 DR. SUZMAN: Daniel Suzman, FDA. That's
11 backup slide 73.

12 DR. BHATNAGAR: Thank you. So as you can
13 see, although the applicant says that this is well
14 managed, what we see in the PRO-CTCAE question about
15 the frequency of diarrhea is that there's a group of
16 patients that have almost constant diarrhea. And
17 when you ask patients how they're doing, a frequent
18 or almost constant amount of diarrhea is obviously
19 problematic. There's obviously an imbalance here.

20 But to your point in terms of stepping out of
21 the bar chart, it's this idea of overall side effect
22 bother and almost constant symptoms that patients

1 have to reconcile with on an almost daily basis. And
2 although, again, we can say that they're easily
3 managed, the whole point of asking these questions is
4 to get to this heart. CTCAE or clinician-reported
5 AEs may not get to that. PROs do, and we shouldn't
6 ignore that.

7 DR. FOXLEY: May I add?

8 DR. VASAN: Yes.

9 DR. FOXLEY: So we have learned from this
10 trial, and we've learned from our experience in
11 breast cancer. And we have with us today Dr. Hope
12 Rugo, who has first-hand experience of managing this
13 toxicity with patients. I think it would be useful
14 to hear from her, how the learning over time has
15 helped with that.

16 DR. VASAN: All right. Thanks.

17 DR. RUGO: Thank you. I'm Hope Rugo, Breast
18 Medical Oncologist at City of Hope, and I have no
19 financial benefits from this presentation or
20 approval, but I am on the steering committee for
21 CAPI-291 and 292, which are phase 3 trials for breast
22 cancer. I have given a lot of capivasertib to breast

1 cancer patients, as well as other drugs that we use,
2 as many of you are familiar with, that cause diarrhea
3 and we're giving now in many stages of breast cancer.

4 And what we've learned about managing
5 diarrhea with these drugs is that we talk about diet,
6 not to change your diet but to use prophylaxis after
7 you know what an individual's experience is to avoid
8 constipation. So in that situation, what we've done
9 in our publications and teaching is to describe the
10 use of antipropulsive agents in a preventive manner.
11 For example, if you're going to go on a big hike, you
12 want to take an antipropulsive agent beforehand or if
13 you're going to have a big salad. I mean, these
14 sound like very straightforward recommendations.
15 Take a half a pill if a whole pill makes you
16 constipated. We've really learned a lot and we
17 convey that to our patients.

18 So although we saw diarrhea early with
19 capivasertib, now once we get the individual patient
20 experience, we can prevent it. It's not cumulative
21 over time. And I think you can see that from these
22 bar graphs. It's what it is for an individual

1 patient, and by using this proactive approach, we can
2 control the diarrhea so that even a smaller number of
3 patients have the frequently or almost constantly
4 effect.

5 DR. CHANG: Elaine Chang, FDA. I just would
6 add that I think the breast cancer experience is
7 valuable, but there are unique challenges with
8 prostate cancer. We saw that the median exposure
9 with the breast cancer trial was 5 months, and in the
10 prostate cancer trial, it's 17 months. So there are
11 some unique considerations for the clinical context,
12 and the labeling, ultimately, cannot address the
13 issue that the contribution of capivasertib, apart
14 from abiraterone, for an individual patient will not
15 be assessed.

16 DR. VASAN: Thank you.

17 The next question is Dr. Choueiri.

18 DR. CHOUERI: Tony Choueiri, Dana-Farber,
19 Boston. I have a question for the sponsor, actually
20 more than one question. I want to go to table 36. I
21 wish it was table 6. And it was put here, the
22 magnitude of the benefit based on PTEN loss. I would

1 like to see -- I hope you have that analysis
2 ready -- the hazard ratio for PFS and OS, excluding
3 the population that has complete loss, 100 or
4 99 percent loss. I want to focus on 90 to 98 alone
5 and 99 and 100, and see the hazard ratio for both, if
6 that can be done even during. So that's one, if it's
7 possible.

8 And second, I would like you to focus more on
9 this immunohistochemistry test for PTEN loss. These
10 are archival specimens. Did you run an analysis if
11 your specimen is over a year from cycle 1, day 1?
12 What if this is a biopsy done 7-8 years ago? How
13 does the test perform? I think this is important.
14 Thank you.

15 DR. FOXLEY: Certainly. If I may, whilst
16 we're gathering data for your question about the
17 cutoff, I'll ask Dr. Lotan, our expert pathologist,
18 to come to you and talk to you about the IHC issue
19 that you raised, and we'll look for the data for the
20 other piece.

21 DR. LOTAN: Tamara Lotan. I'm a GU
22 pathologist at Johns Hopkins. I am a paid consultant

1 to the applicant.

2 DR. CHOUEIRI: Please raise your voice.

3 DR. LOTAN: Sorry.

4 DR. VASAN: I'm sorry to interrupt.

5 Dr. Choueiri was referring to table 36 in the
6 briefing document.

7 DR. CHOUEIRI: Thirty-six.

8 DR. LOTAN: Sorry. Tamara Lotan, GU
9 pathologist from Johns Hopkins. I am a paid
10 consultant to the applicant but don't have any
11 financial interest in the outcome of this meeting.
12 So we actually routinely do PTEN immunohistochemistry
13 at Johns Hopkins prospectively as a prognostic
14 biomarker, and have found that specimens going back
15 even 10, 15 years are still highly interpretable, in
16 general.

17 We have good internal controls because we
18 have benign tissue in the sample and benign stroma so
19 that we can determine if the samples are not a valid
20 result if that stroma is not staining. But that
21 generally happens in less than, I would say,
22 10 percent of cases. And we've done many studies

1 looking at the preanalytic variables like fixation,
2 block age, age of specimens. So it works fairly well
3 in archival specimens.

4 DR. CHOUEIRI: But what's the experience
5 outside of Hopkins, like in Iowa and community
6 oncology, where 80 percent of oncology care happens?
7 Are they going to be able -- they're not all Hopkins
8 trained. So how is this biomarker going to perform?

9 DR. LOTAN: So I think it's a very
10 straightforward biomarker to interpret, especially in
11 the context of this increasing menu that we're
12 getting with ADCs, which have very complicated
13 scoring systems. I think we're helped by two points
14 here. One is that in the initial presentation, where
15 they showed the distribution of percent of cells with
16 PTEN loss, you see that it's really bimodal. You
17 generally have cases with almost complete loss or
18 cases with very minimal loss, so that's helpful. And
19 I think the very simple scoring system, where we're
20 not doing a complex H-score with intensity and so
21 forth, makes it very easy to translate. And we have
22 very high inter-reader reproducibility.

1 DR. CHOUERI: Even with specimen 5, 10 years
2 old?

3 DR. FOXLEY: So this population was de novo,
4 so the issue of age of sample becomes less relevant
5 because this is part of the diagnostic workup. So
6 the biopsies that were taken were taken for entry to
7 the study. So I'm not quite understanding your
8 concern about the long --

9 DR. CHOUERI: They're de novo metastatic,
10 but they could have presented the de novo metastatic
11 disease, but they would have had high risk before,
12 no?

13 DR. FOXLEY: No, these presented de novo.

14 DR. CHOUERI: And where the biopsy was done,
15 is there any difference between primary or
16 metastases?

17 DR. FOXLEY: I'll ask Elza de Bruin,
18 our -- sorry. Kyra Mumford, our biomarker expert,
19 can tell you exactly what the mix of those samples
20 was.

21 DR. MUMFORD: Kyra Mumford, Precision
22 Medicine. The samples were collected as part of

1 standard of care priority enrollment under this
2 study, and the vast majority, greater than 95 percent
3 of them, were from primary tissue, and greater than
4 95 percent from a biopsy.

5 DR. FOXLEY: So turning to your other
6 question, I think your question was actually about
7 the grouping of outcome by an IHC score of 90 to 98.
8 Am I correct, Dr. Choueiri?

9 DR. CHOUERI: Yes.

10 DR. FOXLEY: So neither the FDA table, I
11 don't think, nor ours does that. But I would like to
12 show you slide 4. Up, please. Here, you can see
13 above the line -- we don't have the data for the 90
14 to 98, but what you do see in the upper plot, which
15 is the capivasertib arm, is the cutpoints that we
16 have analyzed, so 90 to 94, 95, 99, and 100. And
17 what you see there is that the capivasertib
18 Kaplan-Meier curves for each of those groups overlies
19 each other, whereas in the placebo arm, working from
20 the bottom, the 100 percent loss are at the bottom of
21 the colored curves, and they cluster together. 95,
22 99, and 100 are overlaid, and somewhat anomalous is

1 the finding for the 90 to 94 group who appear to do
2 incredibly well. We don't really regard that as
3 biologically plausible. It's the smallest group, and
4 so we have had some difficulty interpreting that
5 result.

6 DR. CHOUEIRI: That doesn't answer the
7 question.

8 DR. FOXLEY: I don't have a plot for you for
9 90 to 98.

10 DR. CHOUEIRI: I just want to see table 36,
11 another analysis that has 99 and 100 together and 90
12 to 98. I want to see the hazard ratio for PFS and OS
13 in both.

14 DR. FOXLEY: That's slide 2 up.

15 DR. CHANG: This is Elaine Chang, FDA. I
16 think what you are asking about, the 90 to 98
17 percent, that would be in the PTEN loss greater than
18 and equal to 99 in the "No" column, n equals 611,
19 60 percent of the population, the hazard ratio for
20 rPFS was 0.94 and the OS was 1.02.

21 Is that what you're looking for?

22 DR. CHOUEIRI: Yes, but I didn't see that

1 analysis. You have that.

2 DR. CHANG: That's the FDA's analysis.

3 DR. CHOUEIRI: Okay. I didn't see that
4 slide. So you're telling me if there is 90 to 98,
5 meaning excluding the 99 and the 100, the hazard
6 ratio for PFS is 0.94 and for OS is 1.00?

7 DR. CHANG: .02.

8 DR. CHOUEIRI: Okay. Thank you. No further
9 questions.

10 DR. VASAN: Next, Dr. Huang?

11 DR. HUANG: Thank you. Franklin Huang, UCSF
12 in San Francisco VA. This is a question for the
13 sponsor. I'm curious. Given the higher burden of
14 prostate cancer in black or African American men, I
15 guess ideally would have seen more enrolled patients
16 in the mHSPC setting here. And I'm curious as it
17 relates to PTEN deficiency. We know that PTEN
18 deficiency is less common in African American men, so
19 I'm curious. In the screening process, do you have
20 any data on whether more men were screened out? And
21 also, could you comment on implications for this
22 patient population?

1 DR. FOXLEY: We have looked at this.
2 Dr. Gaia Schiavon could come to the podium and answer
3 your question.

4 DR. SCHIAVON: Gaia Schiavon, Clinical
5 Development. Yes, a very important question.
6 Slide 1 up, please. We have certainly looked at this
7 very carefully. We have screened 191 patients, black
8 African American, that tested 178. I identified 22
9 PTEN-deficient patients and randomized 12. So this
10 indicates slightly -- we know that, especially in the
11 U.S., there is a high incidence of prostate cancer
12 for this population. But this real-world evidence
13 indicated that PTEN deficiency, the prevalence is
14 slightly lower than in the global population. We
15 don't expect, however, that the biology would be
16 different. So in terms of implication, we would
17 expect PTEN-deficient mHSPC prostate cancer in this
18 population to be as aggressive as we have seen in
19 CAPItello-281 overall.

20 DR. HUANG: Thank you, guys. One other quick
21 question, which was, did you check in terms of other
22 co-occurring genomic alterations that would

1 contribute to the heterogeneity of the rPFS, a
2 response in different PTEN categories?

3 DR. FOXLEY: Those analyses are underway, but
4 we haven't completed anything and couldn't show you
5 anything on that today.

6 DR. VASAN: All right. Any other clarifying
7 questions?

8 (No response.)

9 DR. VASAN: I want to just ask one clarifying
10 question to the FDA. Just thinking about precedence,
11 the one trial that I'm thinking about here is
12 SOLAR-1, where we had -- this is apples and oranges.
13 It's a different disease. It was a second-line
14 setting but a PFS benefit, no OS benefit, a very
15 toxic drug that didn't go to ODAC, that got FDA
16 approved.

17 Could you just maybe try to compare -- I
18 realize that was seven years ago -- and contrast how
19 you see this as substantially different, the
20 cognitive exercise that we're trying to do today?

21 DR. SUZMAN: Daniel Suzman, FDA. I'll let
22 our experts in the other diseases comment on those.

1 I guess our question to the committee today is really
2 around what is a clinically meaningful improvement
3 rPFS in this specific disease context. And with the
4 toxicities that we're seeing, with the addition of
5 that drug for an add-on therapy, the acceptable
6 threshold for efficacy and treatment effect, I think
7 it's going to differ, again, between different
8 populations, different drugs, different disease
9 settings. And we're really curious about -- and,
10 again, we have not approved a drug for prostate
11 cancer, and specifically hormone-sensitive prostate
12 cancer, with this magnitude of treatment effect as an
13 add-on therapy. So again, I think it's a question to
14 the committee about the clinical meaningfulness of
15 the demonstrated results.

16 DR. AMIRI-KORDESTANI: I want to add that we
17 look at hazard ratios. So the hazard ratio of this
18 is not similar to -- in addition to everything that
19 Dr. Suzman mentioned.

20 DR. VASAN: Thank you. That's all for my
21 questions.

22 Dr. Rini?

1 DR. RINI: Thank you. Brian Rini,
2 Vanderbilt. So one more question for the sponsor
3 about not allowing the use of chemotherapy, given
4 that 75 percent of your patients were high volume,
5 and it would be a standard of care with the survival
6 benefit. So just walk me through the decision not to
7 allow that. And I think, thinking as a clinician, it
8 would complicate the decision for a high-volume
9 patient with PTEN deficiency because I have a therapy
10 that extends survival and one that doesn't, and I'm
11 not sure how to make that choice.

12 DR. FOXLEY: I will begin by just rehashing
13 the history. At the time we started CAPItello-281,
14 those trials of triplet therapy containing docetaxel,
15 ADT, and ARPI had not read out. And so ADT-ARPI was
16 the standard of care at the time. What we do know
17 about docetaxel is there's been a step forward. The
18 ARASENS study looked at that. But it's important to
19 remember that ARASENS compared docetaxel in both arms
20 and had ARPI added in one and not the other, and
21 there's no reporting of the outcome for PTEN
22 deficiency per se.

1 I think what's critical here is, given where
2 we are with the data available here, which is
3 positive in a PTEN-deficient population for
4 capivasertib, how that would be weighed up in the
5 clinic. And I'll ask Dr. George to comment how that
6 equipoise in decision making would be handled with a
7 patient.

8 DR. GEORGE: Yes. Dan George, Duke. I think
9 that the use of docetaxel for these high-volume
10 patients, Dr. Rini, is still going to be a reasonable
11 choice. And that's in our guidelines, and it's still
12 level 1 evidence there. I think what the addition of
13 PTEN deficiency does for us, it gives us another
14 level of data, of biology, of high-risk feature to
15 consider. Remember, with docetaxel, we're treating
16 for 4 and a half months, and then it stops. It's not
17 going to preferentially wipe out this AKT biology,
18 and that's going to presumably resume off the
19 chemotherapy then. So there's potentially
20 opportunities to think about how you would use these
21 agents, either in our clinical context, either in
22 layering, or other settings.

1 In addition, as you know, a number of our
2 patients are just not fit for chemotherapy. When we
3 do a trial where we have chemotherapy in the control
4 arm, we select a subset of patients that are chemo
5 fit. It's not necessarily representative of the
6 broader population. In many respects, this study, by
7 having a broad standard of care option, allows for a
8 broad spectrum of patients to be involved in a study
9 and makes it probably more clinically relevant to
10 that real-world population.

11 But at the end of the day, for these patients
12 with PTEN deficiency and aggressive disease, we need
13 more options, not less. Four and a half months of
14 docetaxel, I'm sorry, it's just not solving the
15 problem for these patients. And if this is an agent
16 that demonstrates clinical benefit and with
17 toxicities that are known, granted, maybe not all
18 patients are going to be able to tolerate that, but
19 for the majority of patients who can, it is a good
20 option for those patients. So that's what we're
21 looking for here.

22 DR. RINI: Just a real quick follow-up for

1 Dr. George. So you're correct, of course. Many
2 patients are chemo fit. Do you think those patients
3 would be fit for this drug? The rates of grade 3
4 toxicity are about the same. Obviously, the duration
5 of this therapy is longer. So I'm wondering if those
6 are largely overlapping circles of unfit patients.

7 DR. GEORGE: They're very different
8 toxicities, though, right? Because I think we're not
9 talking about neuropathies or other cytopenias and
10 what not. Yes, there are complications here, but I
11 think now that we are aware of these complications,
12 we can treat those complications before we even start
13 the drug. I think one of the most important things
14 in our clinical practice is that we don't have to
15 jump in immediately on day 1 with every therapy. We
16 can get a patient started on hormonal therapy. We
17 can manage diabetes. We can manage other concerns
18 and whatnot, and then we can start a drug. And
19 remember, this is 4 days on and 3 days off, so
20 there's immediate breaks built in. And these breaks
21 actually allow for these toxicities to come down.

22 So that's the opportunity that we have with

1 real-world practice with this drug, especially an
2 oral therapy, kind of customize it to what the
3 patients can tolerate. So yes, I do think we have a
4 lot more flexibility with an oral intermittent
5 therapy than we do with an IV chemotherapy.

6 DR. VASAN: Thank you.

7 Dr. Suzman, do you want to respond?

8 DR. SUZMAN: Sure. Daniel Suzman, FDA. I
9 would just add to the question of were these patients
10 chemo fit, that 80 percent of patients on the placebo
11 arm did receive subsequent cytotoxic chemotherapy in
12 a later-line setting, suggesting that the majority of
13 these patients were, in fact, fit for chemo, at least
14 in this trial. And therefore, the toxicities that we
15 see on this trial reflect those of a chemo fit
16 population. Thank you.

17 DR. VASAN: Dr. Robinson?

18 DR. ROBINSON: Kyle Robinson, University of
19 Pennsylvania, Philadelphia. For the sponsor, the
20 patients who developed hyperglycemia or diabetes on
21 treatment -- sorry, a 2-fold question -- for the ones
22 who went on metformin, how did that affect their

1 rates of diarrhea? And for the patients who weren't
2 on metformin, were the diabetic drugs covered by the
3 sponsor or by the patient's insurance?

4 DR. FOXLEY: There are two parts to that
5 question. Dr. Rugo can tell us about the insurance
6 coverage, and I'll ask Mayur Patel from Patient
7 Safety to come and talk to you about the diarrhea and
8 metformin.

9 DR. PATEL: Mayur Patel, AstraZeneca. When
10 looking at the adverse events by
11 diabetes/non-diabetes, we saw that serious adverse
12 events and grade 3 adverse events were similar in
13 diabetics and non-diabetics. We didn't specifically
14 then drill down to look at whether the diarrhea was
15 different between patients that got metformin and
16 those that did not. Thank you.

17 DR. FOXLEY: Dr. Rugo, could you come and
18 talk about insurance coverage for diabetic drugs?

19 DR. RUGO: Generally, the diabetic
20 medications are paid for by the patient's insurance,
21 and this is true, in general. When we give growth
22 factors for patients on study, we actually charge it

1 to the insurance company. It would be very hard to
2 invoice pharma for that with chemotherapy, so we
3 have. I will say that during the conduct of these
4 trials, over a time period, oral hypoglycemics have
5 changed tremendously, and our experience with
6 managing hyperglycemia has as well. It's kind of
7 surprising because we know the names from the jingle
8 on the television, but our colleagues in
9 endocrinology help us a lot, and the new oral
10 hypoglycemics are enormously more effective.

11 So I haven't put a patient on insulin who had
12 even type 2 diabetes, and now several years because
13 of these agents being available, or maybe two years,
14 because insurance covers the drugs. But that wasn't
15 the case in the past.

16 DR. VASAN: Thank you.

17 Mr. Conway?

18 MR. CONWAY: Thank you very much. Paul
19 Conway, American Association of Kidney Patients. Two
20 clarifying questions to FDA. The first question is,
21 it seems to me, based on the discussions and in the
22 briefing documents, you've tied efficacy, in part, to

1 healthcare utilization. And I'm wondering if you
2 have an objective standard for that because it seems
3 to me it's tied to the discussion about side effects.
4 And my question specifically is, one, how do you
5 arrive at that? And two, do you also take into
6 account, then, therapies that delay disease
7 progression and what potential cost savings are in
8 terms of lower utilization? And if not cost savings,
9 lower utilization? That's the first question.

10 The second question that I have to FDA is,
11 there's been a lot of discussion about clinical
12 meaningfulness. Now, on the device side, FDA has
13 pioneered the science of patient insight data. And
14 I'm just wondering, what do you ground or baseline
15 this discussion, in terms of patient burdens and
16 efficacy, for patients in, on the drug side? What
17 type of feedback have you gotten from patients in
18 terms of lived experience and patient insight data
19 for this specific population? Thank you.

20 DR. CHANG: Hi. Elaine Chang, FDA. For the
21 first question, I'm not sure if I understand what you
22 were referring to by healthcare utilization but, in

1 general, we consider efficacy and safety separately,
2 and we don't consider the cost as part of our
3 regulatory decision. In our presentation, we
4 referred to healthcare utilization when we discussed
5 the use of supportive care medications to manage the
6 toxicities.

7 And then for the second question, I would
8 like to invite Vishal Bhatnagar, Patient Outcomes
9 Associate Director of OCE.

10 DR. BHATNAGAR: Very briefly, Vishal
11 Bhatnagar. So there were some disease symptom
12 improvement measures that were deployed in the trial.
13 However, there was no benefit seen in terms of
14 function or symptoms when it came to disease-specific
15 symptoms. But that is the type of information that
16 would point to a benefit in terms of healthcare
17 utilization or health outcomes for patients with a
18 type of cancer. I hope that answers your question.
19 Just to summarize, it was collected, but no benefit
20 was seen.

21 DR. VASAN: Thank you. Neil Vasan, NYU
22 Langone. I had one more question to piggyback, of

1 what Dr. Choueiri had discussed a couple minutes ago.
2 When they have these PTEN IHC cutoffs, can a
3 clinician be confident about the difference between
4 95 and 98, or 98 and 99, those bins that you had? I
5 just really wanted to drill down into that
6 specificity because there's clearly some dose
7 response. We have to interpret what the meaning of
8 that is. But can a clinician in the community, when
9 they're treating a patient, be confident, and what's
10 the stability of this test?

11 DR. FOXLEY: We have looked at that.
12 Dr. Lotan, our expert external pathologist can talk
13 to that point.

14 DR. LOTAN: Tamara Lotan, Johns Hopkins. So
15 GU pathologists, or pathologists in general, are very
16 familiar with quantifying different Gleason patterns
17 and so forth. In this particular test -- and I don't
18 see the exact slide I'm looking for -- the 90 percent
19 cutoff and 95 percent cutoff had almost identical or
20 very similar levels of -- so slide 2 up,
21 please -- inter-reader reproducibility. So it was
22 fairly reproducible across both of those cutoffs.

1 DR. CHOUEIRI: I have a question. So a lot
2 of these patients, in practice, may have been put on
3 ADT, then perhaps got a biopsy or something,
4 especially if they come with high PSA. Do we have
5 any idea what happened with PTEN protein,
6 immunohistochemistry, once you're exposed to ADT, for
7 example?

8 DR. FOXLEY: The sponsor hasn't looked at
9 that, but I think Dr. Lotan may be able to answer
10 from her past experience in this area.

11 DR. LOTAN: Dr. Tamara Lotan, Johns Hopkins.
12 That we have not looked at either.

13 DR. CHOUEIRI: Okay. Another question for
14 you. What's the turnaround time for PTEN IHC?

15 DR. LOTAN: So the test can be done in
16 6 hours. In general, we do an overnight turnaround
17 at Johns Hopkins.

18 DR. CHOUEIRI: Are you developing any AI
19 tests to get it even faster so that centers could
20 send you the result, and you can interpret them,
21 rather than just doing it there?

22 DR. FOXLEY: We've not yet looked at AI

1 approaches for this. We could well do so if we have
2 an approval.

3 DR. CHOUEIRI: One final. In my
4 understanding, 99 and 100 are 30 percent of the
5 population; correct? Included.

6 DR. FOXLEY: Can we bring that slide up?

7 DR. CHOUEIRI: Table 36.

8 DR. FOXLEY: Table 36.

9 DR. CHOUEIRI: Thirty-one. Okay.

10 DR. FOXLEY: Sorry. Change. Table 31. No.
11 Slide down. So the question, team, is do we have the
12 prevalence by those cutoffs? Table 36.

13 DR. CHOUEIRI: Okay. So it's 33. That's
14 yes/no.

15 DR. CHANG: Elaine Chang, FDA. I think it
16 was 33 percent with 100 percent loss, and then
17 40 percent with 99 percent loss.

18 DR. CHOUEIRI: No. No. It must be lower.
19 That's nothing. That's yes/no. That's yes/no.
20 What's the percentage of your accrued patient that
21 has 99 and 100 percent loss?

22 DR. FOXLEY: Can we bring slide 1 up? It may

1 be helpful. We haven't actually calculated it in the
2 way you're asking.

3 DR. CHANG: It's the yes column, Toni, I
4 think.

5 DR. FOXLEY: Forty percent, micro, 99 and
6 above accounts --

7 DR. CHOUERI: Forty percent of the
8 population. So that's 10 percent of all metastatic
9 castration-sensitive, first-line prostate cancer.
10 Okay. No further questions.

11 DR. VASAN: Yes. Dr. Huang?

12 DR. HUANG: Thanks. Franklin Huang, UCSF, a
13 question for sponsor and FDA. It occurred to me when
14 you put up that 44 percent of patients received capi
15 with abi required initiation or change in
16 hyperglycemics, and 17 percent initiated insulin and
17 6 patients at DKA, I'm curious. To what extent do
18 you know if that's related to the length of
19 treatment?

20 And then to the FDA, as we consider clinical
21 benefit versus risk, I'm curious to what degree of
22 the toxicities we're evaluating here would balance

1 the degree of rPFS that you guys are considering
2 here, given the known side effects in question, of
3 the known side effects of the drug?

4 DR. FOXLEY: Who would you like to go first?
5 Sponsor?

6 DR. HUANG: Sure.

7 DR. FOXLEY: So we have data on that, and
8 Dr. Patel can talk to it. We initiated increased
9 guidance for hypoglycemic monitoring during the
10 lifetime of the trial, and that may also have had
11 some impact on the rate of events.

12 DR. PATEL: Mayur Patel, AstraZeneca. The
13 hyperglycemia that we observed in the trial, FDA
14 provided the median time to onset. Actually, the
15 diabetic ketoacidosis cases had a range of dates.
16 And so when we look at these patients very carefully,
17 the thing that we noticed most is poor glycemic
18 control being a critical risk factor, in addition to
19 patients themselves had precipitating factors.

20 So from the patient that FDA quoted that
21 died, we have been really looking at our toxicity
22 management, adverse event management guidelines,

1 throughout the clinical trial, and we have increased
2 the frequency of glucose monitoring. We've, in
3 addition, educated the clinicians to further look at
4 A1C because that will show every 3 months how that
5 glycemic control is being maintained. Also, because
6 there's urinalysis in these patients, really pay
7 attention to the ketones. All of these were steps
8 that we took to make sure that clinicians are able to
9 monitor this.

10 In addition, we actually told the clinicians
11 to look very carefully at infections and think about
12 whether there is a differential diagnosis of DKA that
13 can be made. And if there is some thought that there
14 could be, that you actually interrupt, see, and if
15 DKA is confirmed, that you discontinue. So
16 throughout the trial, we have actually changed our
17 monitoring guidelines. And since the patient that
18 passed away, we haven't seen any additional deaths in
19 this clinical trial from DKA, which we believe that
20 through monitoring and very careful attention to
21 glycemic control, you can monitor and manage these
22 patients because DKA is preventable. Thank you.

1 DR. VASAN: FDA, please?

2 DR. CHANG: Elaine Chang, FDA. So I think,
3 as he referenced, the onset to DKA in some patients
4 was very late. The median onset was about 9 months.
5 And when we saw the increase in hyperglycemia events,
6 all-grade events, high-grade events, and DKA,
7 compared to the breast cancer data, it suggested that
8 both the exposure to the prednisone with abiraterone
9 and the duration of exposure were factors that
10 increased the risk of these events.

11 I think your second question was about how we
12 consider the balance of the efficacy and the safety.
13 And I would say that we're not here discussing just
14 the hyperglycemia or just the concerns around
15 toxicity. But it is in the context of this magnitude
16 of treatment effect that makes us question if the
17 benefit-risk is favorable, and that's what we wanted
18 the committee to discuss.

19 DR. VASAN: Thanks.

20 Dr. Lee?

21 DR. LEE: Thank you. Daniel Lee, FDA. If
22 you could please turn to slide 40 of our

1 presentation. Just to add on to Dr. Chang's point,
2 and then to add on to the sponsor's point, yes, you
3 can increase glucose monitoring. But as you can see
4 on the third row, that's the percentage requiring
5 initiation or change in antihyperglycemic
6 medications. You can see that the difference between
7 the capivasertib arm and the placebo arm is
8 30 percent. So yes, you can increase and you can be
9 more vigilant, but then you can see that almost
10 50 percent of patients just needed this big change.
11 So thank you.

12 DR. VASAN: Dr. Choueiri?

13 DR. CHOUERI: And why is this difference?
14 It's the same dose. Is it the population that is
15 older than the breast cancer? Is it the fact that
16 abi comes with prednisone 5 milligram? Do you think
17 that's all of it, or just men don't -- have more side
18 effects, are less vigilant in taking their glucose?

19 DR. SUZMAN: Daniel Suzman, FDA. I think we
20 can only speculate, but certainly the addition of
21 prednisone in all patients is different than in the
22 breast cancer setting. Again, the exposure was three

1 times as long. These are patients who are on ADT,
2 which over time is going to cause metabolic
3 derangements, glucose intolerance. So it may just be
4 a constellation of factors.

5 DR. FOXLEY: So we do need to remember, as
6 Dr. Suzman has just said, we start from a higher
7 baseline with this population. More of them had
8 diabetes at baseline than the breast cancer
9 population, and they are on background therapies,
10 which in and of themselves can cause metabolic
11 disturbance. So it's not really a level playing
12 field, with regard to hyperglycemia, to compare 291
13 with CAPItello-281.

14 DR. VASAN: Thank you.

15 Dr. Yen?

16 DR. YEN: Edward Yen from Baylor College of
17 Medicine. I'm sorry to drill down on this more, but
18 I think it's mainly because there's a lot of focus
19 around the population of patients who have an rPFS
20 benefit.

21 Can you explain a little bit, or give a
22 little bit more clarity -- and this is a question to

1 the sponsor -- on tumor heterogeneity of PTEN
2 expression within samples? Because the way I'm
3 seeing it right now, these are patients who have
4 de novo metastatic CSPC or HSPC, so the biopsy
5 samples were not likely coming from a radical
6 prostatectomy, of course. So they're going to be
7 coming from a smaller sample. Is PTEN loss something
8 you see consistently throughout the entire tumor
9 sample or is this just a small part?

10 DR. FOXLEY: I'll ask Dr. Lotan to answer
11 this point. We have an answer for you, I think.

12 DR. LOTAN: Tamara Lotan. So PTEN loss is a
13 very early event, so if you have loss in the primary
14 tumor, it's typically concordant with all the
15 metastases. Slide 1 up, actually. So it occurs
16 clonally very early on. However, within the primary,
17 you're right that we can get areas of subclonal loss.

18 This scoring cutoff, which is very stringent,
19 requiring at least 90 percent of cells with loss,
20 sort of enforces that you essentially have clonal
21 loss in the primary in these patients that we call
22 PTEN deficient. So that when we look at

1 heterogeneity between tumor samples in the same
2 patient, there's very little heterogeneity in terms
3 of the scoring. So we get good concordance,
4 essentially, by enforcing, essentially, that they
5 have clonal loss with the 90 percent cutoff.

6 **Questions to the Committee and Discussion**

7 DR. VASAN: Thank you.

8 So it's 4:20. We have about 20 minutes for
9 the discussion. I think part of these clarifying
10 questions have bled into discussion, which is, of
11 course, fine. Let me just summarize what we've
12 talked about right until now.

13 We've focused a lot of these clarifying
14 questions, I think, less on the rPFS and the negative
15 OS, more on the biomarker diagnostic and toxicities.
16 The FDA has asked us to, of course, think about the
17 meaningfulness of this 6-month delta in rPFS. I
18 think that's where we're going to start this
19 conversation.

20 All right. Yes, I could start. I think,
21 again, we have a positive PFS. We have a negative
22 OS. I do think this biomarker dose response is

1 interesting. It is an exploratory hypothesis, and I
2 think there's this sense of maybe a creative solution
3 to try to bin in between this 90 percent to
4 100 percent. Dr. Choueiri asked a lot of questions
5 about dichotomizing the data differently, but at the
6 end of the day, this is an exploratory hypothesis.
7 It sounds like most of the work in the field has
8 really characterized that 90 percent cutoff. There
9 is internal consistency that the sponsor has shown in
10 some data.

11 Again, the difference between 99 and 100, I
12 don't know how that will play out in the real world,
13 and so that's something that I'm thinking about. I
14 guess to the FDA, it's also just to confirm that this
15 is an exploratory biomarker. This idea of thinking
16 about 93 different than 98 is an exploratory
17 biomarker.

18 DR. SUZMAN: This is Daniel Suzman, FDA.
19 Yes, we would consider that to be exploratory
20 analyses, again, the way the trial was conducted, the
21 way the diagnostic was validated was with 90 percent,
22 we would consider the additional bins to be

1 hypothesis-generating only at this point.

2 DR. VASAN: Thanks. Neil Vasan. Just the
3 last part is, what we also did see is that there is a
4 bit of a dose response in the OS by the higher loss
5 of PTEN. That's sort of, I think, what I'm thinking
6 about right now.

7 DR. FOXLEY: May I just raise a point of
8 clarification, just something that was stated just
9 now that may be incorrect?

10 DR. VASAN: Yes, please.

11 DR. FOXLEY: We heard the OS was negative. I
12 think the summary at the moment is that the OS shows
13 no detriment.

14 DR. VASAN: Thank you for that clarification.

15 Dr. Frenkl?

16 DR. FRENKL: Hi. Tara Frenkl, Bayer
17 Pharmaceuticals, industry rep. What I'm thinking
18 about is a little bit in terms of putting the
19 clinical meaningfulness into context and trying to
20 relay it with the precedent that's been set before
21 and comparing it with those different populations.
22 Because I think it was beautifully framed this

1 morning about how this is a different, more
2 aggressive population, has a different control, a
3 different time to PFS, much shorter than some of
4 those other populations. And it would be great to
5 hear from some of the clinicians how are they
6 thinking about that, and how is this 7.5 months, in
7 the context of knowing that it's 26, compared to some
8 of the other ones.

9 DR. HUANG: I'll speak as one of the
10 clinicians. It's a great question, Dr. Frenkl. I
11 think that we are always -- it's appealing to have
12 biomarker driven ideas of how to treat patients
13 upfront. I think we at the VA and other places,
14 obviously ADT plus abi is a backbone of our therapy,
15 and many patients do extremely well on those, but
16 then there are patients who obviously progress very
17 quickly. And I think that is a challenge in our
18 field in terms of trying to find the right therapies
19 and have options for patients upfront. And often
20 times we are using many biomarkers at the upfront
21 setting to treat these men with mHSPC. So I think
22 it's an important consideration.

1 DR. ROBINSON: I guess we're going around.
2 Kyle Robinson from Penn. I think there's been a lot
3 of discussion about the lack of triplet therapy of
4 docetaxel in the beginning. I think when we're
5 talking about these patients who present with
6 aggressive prostate cancer at the beginning, I do
7 think heavily about docetaxel. You do have to talk
8 to patients about it. I think it's a good drug in a
9 good amount of patients. So I do think about how I
10 would consider that. I think Dr. George spoke to
11 that, that there are some people, we're still just
12 going to go docetaxling (ph), and there are going to
13 be some patients who are still going to be against
14 chemotherapy. And so that's where I do think about
15 that.

16 I think there are a number of patients who
17 would also be reluctant about insulin shots as well.
18 Some patients really dread that. They think that's
19 almost like a a failure of their control of their own
20 health, not that that's right or wrong, just how
21 people might perceive that. So it's a big discussion
22 about saying we might have another agent, but there's

1 some other baggage that's going to come with that. I
2 guess that's how I'd frame it.

3 DR. YEN: I can put in my two cents. Edward
4 Yen from Baylor. I think when you had that initial
5 discussion about triplet versus doublet for a
6 metastatic castration-sensitive prostate cancer
7 patient, guidelines are one thing, and you can sit
8 there and read standard of care options to patients,
9 but it's hard to tell you exactly how the
10 discussion's going to go because every patient's
11 going to have their own preference.

12 So a lot of the things we've talked about,
13 and what people have said already, goes through that
14 potential discussion that day. You certainly have a
15 lot of people who could refuse chemotherapy, even
16 though you can show them the data with the survival
17 benefit, and the reason could be very various. What
18 people have said earlier today, for example, it may
19 be they're kind of shocked about their diagnosis, and
20 they're still working. They have family to take care
21 of, and they don't really want to be on chemotherapy,
22 even though it might be a standard of care. So that

1 places the decision making for sure. For a
2 combination like this, for that particular type of
3 patient, it might be actually really important to add
4 to hormonal therapy. But again, the discussion, you
5 could go a lot of different ways, yes.

6 DR. FRENKL: My takeaway is that it is very
7 individualized, and that this is a separate group of
8 patients that you guys would consider differently.

9 DR. VASAN: Also, Dr. Rini, I don't know if
10 you had any other thoughts. We've asked all the
11 prostate doctors to weigh in.

12 DR. RINI: Thank you. Yes. Certainly
13 patients, they don't want chemotherapy. They don't
14 like the word. And this is not just true in the
15 hormone sensitive; it's true in the hormone
16 refractory space. And that's why I asked that
17 question in terms of proven benefit and the survival
18 advantage. The toxicity of this drug and chemo, if
19 you just look at the grade 3, it's about 60 percent.
20 So even though they're different, as Dr. George said,
21 the magnitude is the same, and certainly the duration
22 would be longer with this agent than with chemo. So

1 I don't know the right answer. I certainly offer
2 chemotherapy triplet to appropriate high-volume
3 patients, and some get it and some don't.

4 DR. CHOUEIRI: The other thing to remember,
5 with chemotherapy, we stop at a certain number of
6 cycles, 6 to 10, where with this drug, it's
7 continuous until progression.

8 DR. VASAN: Neil Vasan, NYU. I'll ask one
9 more question to whichever the prostate oncologists
10 want to answer. This is obviously just the toxicity
11 footprint with hyperglycemia and rash. You as a
12 field would have to be talking to your
13 endocrinologists very frequently, your dermatologists
14 very frequently. This is something that in the
15 breast cancer field we had to do with alpelisib. I
16 guess to Dr. Rugo's point, it's certainly gotten
17 better over the years just in terms of the support of
18 oncology management, but it is something that will
19 have a footprint in the field, both in academia and,
20 then, as well as in the community.

21 So I'm wondering if you could speak to any of
22 these unique side effect types of management,

1 especially noting from the FDA's presentation, of
2 course, that so many of these therapies are different
3 antiandrogens or chemo, which obviously are totally
4 different drug classes.

5 Dr. Yen?

6 DR. YEN: Yes. Sorry. I wasn't sure if it
7 was directed to any particular person. I would just
8 say the toxicities are important to take into
9 consideration, but it's unrealistic to expect
10 that -- let's say, for example, the patient who gets
11 DKA from the combination, we're not going to keep
12 them on the same regimen to have them experience DKA
13 over and over and over and over, right? So
14 ultimately, when that first event happens, and
15 hopefully it's not DKA, but if it starts to get out
16 of control, realistically, we'll have to get in
17 contact with whoever, an endocrinologist or PCP,
18 whoever it is to help get the blood sugar under
19 control. If they can't get it under control, then,
20 ultimately, the patient will have to discontinue
21 therapy.

22 So I think that's important to take into

1 account. For example, in GU oncology, we have a lot
2 of other medications that we balance that do have
3 significant side effect risk, like say, for example,
4 checkpoint inhibitors, TKIs with RCC. I mean, all of
5 those have significant AE profiles, and we manage
6 them. And I think the mix of the things that we're
7 seeing and explained here today -- rash, diarrhea,
8 hyperglycemia -- generally those fall in the category
9 of things that we can manage.

10 DR. VASAN: Dr. Choueiri?

11 DR. CHOUEIRI: But this is a population of
12 elderly men, where the risks are higher than what's
13 seen with this drug in breast cancer. I have concern
14 about the use of insulin here. It's not as simple as
15 it is. I would like to see an analysis of health
16 utilization when someone starts going on insulin,
17 with everything that comes net, the glucometer, the
18 strips, the phone call. It is a problem. Now, we
19 tend to move fast to insulin compared to oral
20 therapies in oncology, but also that's a problem. An
21 analysis, back of the envelope, since we started
22 doing this, 1 in 8 or 10 patients might be on

1 insulin, every almost grade 3 hyperglycemia.

2 DR. VASAN: Are there any other discussion
3 points that anyone on the ODAC panel would like to
4 bring up? Dr. Robinson?

5 DR. ROBINSON: Can we clarify that? I
6 thought Dr. George had reported there was actually
7 long-term insulin, which is 3 percent. Do they have
8 the data for who remained on insulin versus who just
9 needed it acutely?

10 DR. VASAN: Could we ask the sponsor to
11 clarify?

12 DR. FOXLEY: Yes. Most patients required
13 short course. I'll ask Dr. Patel to describe that to
14 you.

15 DR. PATEL: In patients who had an adverse
16 event of hyperglycemia, the duration of insulin was
17 actually quite short. And after discontinuation,
18 only 3.3 percent of patients actually remained on
19 insulin, which suggests that the hyperglycemia and
20 associated insulin use, actually diabetes is
21 reversible in these patients. And I think that's a
22 very important point, that you can discontinue

1 therapy and you don't have to keep the patients on
2 insulin long term. Thank you.

3 DR. VASAN: Dr. Yen? And then I'll give
4 Mr. Conway the last word.

5 DR. YEN: Okay. Yes. I think one thing
6 that's really stuck out to me this entire day so far
7 is that we're really dealing with a different patient
8 population. Even though they all kind of share the
9 same prostate cancer category, as people have
10 explained already, all prostate cancer is not
11 necessarily the same.

12 The person who I see as the prototypical
13 person with a PTEN loss, potentially, would be a
14 younger patient with really aggressive metastatic
15 presentation. So this is not your 70-plus-year,
16 75-year-old gentleman with low-volume metastatic
17 recurrent cancer, where they have diabetes,
18 hypertension, cholesterol. I mean, this could
19 potentially be a 40, 50, 60 year old. I think the
20 average age on the trial was actually 67. So that's
21 certainly younger, and the risk-benefit calculus is
22 different for those patients, specifically with that

1 particular molecular profile.

2 DR. VASAN: Mr. Conway?

3 MR. CONWAY: Thank you very much. So these
4 are the things that I think about as I listen to
5 this. I think there is a distinct patient
6 population. I think the status quo is inadequate in
7 terms of the tools that the doctors have to work with
8 the patient and actually engage in informed decision
9 making about all of their options.

10 It's interesting listening to my colleagues
11 around the table about how they would each tailor a
12 conversation to a particular patient, on a particular
13 day, given the patient's particular concerns. I
14 don't think you can listen to today's conversation
15 and not think in terms of those who need to keep
16 working in part-time or full-time jobs that are
17 meaningful to them, birthdays, travel, graduation,
18 economic security. Some might dismiss 6 or 7 months.

19 I don't know who would do that, actually,
20 because the calculations that patients make,
21 especially if you're a high-risk patient, they're
22 pretty personal. And if you find a healthcare

1 professional who's willing to work with you and has
2 the tools in the toolbox, that's deeply meaningful.
3 Is it clinically meaningful? Well, I think you have
4 to road test that with patients. I don't think you
5 leave it to just doctors, quite frankly. Because if
6 you're talking to a patient, you're talking to a
7 family, and oftentimes you're talking to a community.
8 And these are decisions that this particular patient
9 population should have patient-care choice and be
10 able to make with doctors they choose and they trust.

11 We talked about some of the side effects not
12 directly related, of course. But if you have an
13 organ transplant, you deal with immunosuppression.
14 I've dealt with it for 29 years. I've taken over
15 179,000 pills. I've had a lot of conversations about
16 side effects, and many of those discussed here.
17 Patients are pretty smart. They can figure it out.
18 They're also pretty smart about healthcare
19 utilization, what adds to the system and what
20 doesn't, and that gets calculated into the trade off
21 and the benefit to them of delaying disease
22 progression and staying in the arena of life.

1 And I put that out to you because I think the
2 bigger picture here, and the true north in this
3 discussion, has to be the patients. They are the
4 most important stakeholders in the discussion, not
5 industry, and not necessarily government. You have
6 to achieve a standard of safety, and that's the FDA's
7 job. But for the safety issues that we've discussed,
8 I'm not certain how many patients that are in a tough
9 situation haven't already had those conversations at
10 some point, or will have those conversations as they
11 go forward. So thank you.

12 DR. VASAN: Thank you, Mr. Conway.

13 So I'll just do a quick summary. On one
14 hand, we have a trial that has met its primary
15 endpoint for rPFS but some issues certainly when
16 comparing this registrational trial to prior
17 practice-changing, registrational trials in prostate
18 cancer. On the other hand, we've heard some
19 conversations that there may be some distinct
20 populations of patients that may benefit, either
21 populations based on their PTEN IHC, populations
22 based on symptom management and maybe patients

1 seeking different types of therapies, and a desire
2 for shared decision making to reconcile this. So I
3 think that's going to be the tensions that we're
4 thinking about in this vote.

5 So now that the discussion portion has ended,
6 we will turn our attention to the task at hand, which
7 is the careful consideration of the data before the
8 committee, as well as the public comments. We will
9 proceed to the questions to the committee. I would
10 like to remind public observers that while this
11 meeting is open for public observation, public
12 attendees may not participate at the specific request
13 of the panel.

14 So we will now proceed to our question, which
15 is a single voting question. We will be using an
16 electronic voting system for this meeting. Once we
17 begin the vote, the buttons will start flashing and
18 will continue to flash even after you have entered
19 your vote. Please press the button firmly that
20 corresponds to your vote. If you are unsure of your
21 vote or you wish to change your vote, you may press
22 the corresponding button until the vote is closed.

1 I realize I didn't read the voting question,
2 which I probably should do. So the question is,
3 based on the CAPItello-281 results, does the benefit
4 of adding capivasertib to abiraterone and prednisone
5 outweigh the risk for the proposed indication?

6 Does anyone have any questions about the
7 wording or any comments about the question?

8 (No response.)

9 DR. VASAN: All right. Please press the
10 button firmly that corresponds to your vote. The
11 vote will go into the record, and next, we will go
12 around the room and each individual who voted will
13 state their name and vote into the record. You can
14 also state the reason why you voted as you did if you
15 want to. We will continue in the same manner until
16 all questions have been answered or discussed.

17 All right. Please press the button on your
18 microphone that corresponds to your vote. You will
19 have approximately 20 seconds to vote. Please press
20 the button firmly. After you've made your selection,
21 the light may continue to flash. If you're unsure of
22 your vote or you wish to change your vote, please

1 press the corresponding button again before the vote
2 is closed.

3 (Voting.)

4 DR. FRIMPONG: Dr. Gradishar, we are awaiting
5 your vote by e-mail.

6 DR. GRADISHAR: I sent it.

7 DR. FRIMPONG: Alrighty, everyone. Please
8 bear with us as we tally the votes.

9 (Pause.)

10 DR. GRADISHAR: Do you have it?

11 DR. FRIMPONG: I see it on my end. I'm
12 communicating with the AV team.

13 (Pause.)

14 DR. FRIMPONG: Joyce Frimpong, Designated
15 Federal Officer. For the record, ere are 7 yes,
16 1 no, and 1 abstain.

17 DR. VASAN: Now that the vote is complete, we
18 will go around the table and have everyone who voted
19 state their name, vote, and if you want to, you can
20 state the reason why you voted as you did into the
21 record.

22 DR. CHOUERI: Toni Choueiri, Dana-Farber,

1 Boston. This was a way tougher decision than the
2 morning, at least for me. I voted actually yes, and
3 this was definitely not an easy vote. I think
4 Mr. Conway at the end -- and interesting enough it
5 was the last comment -- has put it very well. I
6 think the evidence demonstrates this is a
7 statistically significant trial. It's absolutely
8 biologically plausible.

9 The problem I had is the PTEN loss
10 definition. I'm glad that the folks at the FDA had
11 the answers ready for me. If you exclude the 99 and
12 the 100, the PFS hazard ratio is 0.94, and for OS, it
13 crosses 1. However, for a patient, and that is
14 10 percent of all patients will have 99 and 100, how
15 can they receive this targeted treatment if we don't
16 approve it?

17 I actually agree with Dr. George. Have it
18 there and let the physician discuss it with the
19 patient. Nevertheless, I'm really worried that it
20 will be given, if approved, in that condition, in
21 patients 90 percent or more. So the FDA, I implore
22 the agency that the approval will be in those

1 40 percent, 99 to 100, because this drug has side
2 effects. So my vote has been yes for that reason.
3 Thank you.

4 DR. VASAN: Neil Vasan, NYU Langone. I voted
5 yes. I felt that, overall, the totality of the data
6 suggested clinical efficacy, clinical meaningfulness.
7 I think that the term "clinical meaningfulness," of
8 course, we think about the primary endpoints, but we
9 also think about how this drug would be deployed in
10 the real world. And I think that the conversation we
11 had, certainly around toxicities, was convincing that
12 this is not only something that we as a field can
13 manage, but also something that would be tailored
14 individually for a patient with individual
15 conversations.

16 Make no mistake, this will be challenging.
17 I'm not convinced the diagnostics, yet, have been
18 optimized. And for toxicity management, we will have
19 to be enlisting our endocrinology and dermatology
20 colleagues as we have in breast cancer for PI3-kinase
21 pathway inhibitors. But those are the reasons that I
22 voted yes. Thank you.

1 DR. HUANG: Franklin Huang, UCSF. I voted
2 yes. I think, yes, that the totality of the evidence
3 and trying to understand and balance the benefits and
4 risks, and then this patient population, which has
5 unmet need, convinced me that this was significant
6 enough to vote yes for it. I do think there are
7 areas for optimization and consideration for the FDA
8 as well. And at least for the hyperglycemia that we
9 talked about, the insulin issues, I hope that in a
10 post-GLP1 world, that we may be better at managing
11 it, But I think there are other toxicities here that
12 we did cover, and that we should also be mindful of.
13 And I hope that in the real-world deployment of this
14 medication, should it get to patients, will benefit
15 many. So that's my vote.

16 DR. GRADISHAR: Bill Gradishar, Northwestern.
17 I voted yes. I think, again, my impression is that
18 the 7-plus months is meaningful. I don't think this
19 will be a therapy for everybody, and I think the
20 breast cancer experience doesn't perfectly mirror
21 what has been experienced in this trial. It's longer
22 duration, different population. Nonetheless, I think

1 as physicians become more acquainted with the drug
2 and the management, for the right patient, this could
3 have a meaningful outcome. So I voted yes.

4 DR. RINI: Brian Rini, Vanderbilt. I voted
5 no. This was a really difficult vote, as I think
6 people have said. I think I was swayed mostly by
7 what I perceived as a relatively marginal benefit and
8 the magnitude and duration of toxicity. And I think,
9 especially on the benefit side, the guidance from the
10 FDA around what they consider clinically meaningful,
11 that was sort of a context setter for me. And so,
12 again, I think it was really close. I think there's
13 a lot to work out. But I voted no.

14 DR. ROBINSON: Kyle Robinson, Penn. So I
15 voted yes, basically just answering the question in
16 front of me, did the benefit outweigh this risk?
17 Like everyone has now discussed, with a lot of new
18 cancer therapies, specifically immunotherapy, we've
19 had to work with all of our different specialties in
20 managing the different effects we're causing, adding
21 different drugs, and so we're used to that world now.
22 And like Dr. Choueiri said, there is a small group of

1 patients who I think this drug will be for, that I'll
2 reach for. So that's how I voted.

3 DR. YEN: Edward Yen from Baylor College of
4 Medicine. I think this was a very difficult decision
5 for me, kind of wavered back and forth, actually,
6 throughout this day. But I think there were a couple
7 of things that really stood out to me in my vote for
8 yes, one of which is that I really did like the fact
9 that there was a stratified PTEN expression with rPFS
10 and OS. I think that demonstrates and confirms more
11 of the clinical activity to this target.

12 The other thing is trying to extrapolate from
13 science and trial findings to clinical practice and
14 meaningfulness to our patients. I definitely agree
15 that I don't think this drug will necessarily be for
16 everybody, but there are definitely going to be some
17 cases -- I can already see in my head -- some other
18 patients who could potentially benefit quite a lot.
19 And maybe the half year's worth of rPFS benefit may
20 not be that meaningful for some patients, but could
21 certainly be a lot more meaningful to other patients.
22 But that's part of the reason why I voted yes. I

1 think there's a lot of work to be done, though.

2 DR. BALLMAN: Karla Ballman. I abstained.

3 And it has to be the first time in my life I've ever
4 abstained from a vote, so I didn't take it lightly.

5 Where I was coming down, and I was squarely on the
6 fence, was there was an improvement in rPFS and it
7 was statistically significant. Even if the p-value
8 is very close, that's not what statistical

9 significance means. So I did see that. And I also
10 like offering more choices for physician and patients
11 to make combined decisions.

12 Where I came down on the other side, though,
13 it sounds like there has to be intense monitoring,
14 and I worry about out in the real world -- not my
15 esteemed colleagues that I work with in academic
16 centers -- what it might look like, and what some of
17 the risks might be, if it may be even more and
18 outweighs that benefit that is there. So that's
19 where I fell. I don't know, so I decided I would
20 defer to my clinical colleagues to make the ultimate
21 decision.

22 DR. VASAN: Thank you.

1 And Mr. Conway?

2 MR. CONWAY: Thank you. Paul Conway. I
3 voted yes. And the reason why I voted yes was
4 because I believe the sponsor met the endpoint. It's
5 evidence based. I believe it creates greater patient
6 care choice. And also, that it is not for every
7 single patient, clearly, but this is where the issue
8 of trust in the medical profession comes in and the
9 doctors that patients choose.

10 The other thing I would say is that I agree
11 that the education is really going to matter on this
12 and that the onus has not been removed from industry.
13 To make certain, the information that they provide to
14 patients, while it may be clear and written for
15 clinicians, they should also be writing materials for
16 the patient community at a grade level that is
17 understandable and encourage questions to be asked,
18 because that's only fair to the patient population.
19 But my vote yes is an indication that there's a
20 distinct patient population in need of innovation,
21 and part of FDA's job is to advance safe innovation
22 and to clearly communicate the risk.

1 I also believe, with all due respect, that
2 the terminology of "clinically meaningful" by the FDA
3 needs to evolve. And it needs to evolve quickly to
4 be in pace with patient populations that are becoming
5 more and more distinct as medicine, and science, and
6 research advances, and we can identify these specific
7 groups of patients that may benefit from new therapy
8 pathways. Thank you.

9 DR. VASAN: Thank you.

10 Before we adjourn, are there any last
11 comments from the FDA?

12 DR. SUZMAN: Daniel Suzman, FDA. I just want
13 to thank the panel for an excellent discussion. We
14 very much appreciate your input. We'll take it under
15 under advisement, and thank you for your time.

16 **Adjournment**

17 DR. VASAN: We will now adjourn the meeting.
18 Thank you.

19 (Whereupon, at 4:53 p.m., the afternoon
20 session was adjourned.)
21
22