

UNITED STATES OF AMERICA
FOOD AND DRUG ADMINISTRATION

PEDIATRIC ADVISORY COMMITTEE MEETING

Washington, D.C.

Tuesday, September 23, 2014

1 DR. ROSENTHAL: Jeff Rosenthal. I
2 agree.

3 DR. HUDAK: Mark Hudak. I concur.

4 DR. LARUSSA: Phil LaRussa. I concur.

5 DR. CUNNINGHAM: Melody Cunningham. I
6 agree.

7 CHAIRMAN TOWBIN: Thank you. We will
8 return at 130 to talk about Singulair or
9 montelukast, and I can't thank you enough for your
10 help this morning.

11 DR. ELLENBERG: For those in the
12 committee, if you would please remember that you
13 should not be talking about any topics that are
14 before the committee during your lunch break.
15 Thank you very much.

16 (LUNCH BREAK)

17 CHAIRMAN TOWBIN: I think that were
18 ready to begin the afternoon session or shall I
19 say the postprandial session. We're going to talk
20 about **montelukast or Singulair**. Dr. Radden, I
21 think, is going to help us.

22 Dr. Radden is a family practice

1 physician who received her medical degree from the
2 Uniformed Services University of the Health
3 Services and completed internship and residency at
4 the Malcolm Grow Medical Center on Andrews Air
5 Force Base with the National Capital Consortium.
6 She recently separated from the United States Air
7 Force after 14 years of service -- thank you --
8 and joined the United States Public Health
9 Service. Prior to joining the FDA, she practiced
10 at Dover Air Force Base where she served as the
11 medical director of the family practice clinic in
12 addition to the deputy chief of the medical staff.
13 Dr. Radden.

14 DR. RADDEN: Good afternoon. As stated,
15 I'm going to discuss Singulair, or montelukast
16 sodium. I will be following this outline and
17 giving a brief history of neuropsychiatric events
18 associated with montelukast use.

19 Singulair is a leukotriene receptor
20 antagonist indicated for the prophylaxis in
21 chronic treatment of asthma, acute prevention of
22 exercise-induced bronchial constriction or EIB,

1 and relief of both perennial and seasonal allergic
2 rhinitis symptoms. Singulair is approved in adults
3 and pediatric groups down to 6 months based on the
4 indication, but I direct your attention to the
5 approval for EIB, which will be the focus of this
6 review.

7 Singulair is available in multiple
8 dosage strengths and formulations including
9 chewable tablets and granules. Once daily dosing
10 is recommended for all indications except the EIB
11 indication, which recommends patients take one
12 tablet at least two hours prior to exercise not to
13 exceed more than one dose in a 24-hour period.
14 You can see the specific dose recommendation for
15 each group on the slide.

16 Singulair was originally approved in
17 February 1998. The pediatric label change
18 prompting this review occurred in March 2012 and
19 expanded the EIB indication to include patients 6
20 to 14 years of age. PREA studies were waived in
21 patients less than five years of age.

22 The evidence supporting the use of

1 Singulair in patients ages 6 to 14 years for EIB
2 are drawn from the results of a multinational,
3 randomized, double-blind, placebo-controlled,
4 crossover study using the 5 milligram chewable
5 tablet in this age group. The results were
6 further supported by extrapolation of data from
7 the trials conducted in patients 15 years and
8 older to support the initial EIB indication.

9 Singulair was given as a single dose and
10 then exercise challenge testing was performed at 2
11 hours and 24 hours post-dose. Results for the
12 primary endpoint, maximum percent fall in FEB one
13 after exercise challenge testing at 2 hours
14 post-dose demonstrated a statistically significant
15 reduction in EIB compared to placebo. Although a
16 statistically significant reduction was also seen
17 at 24 hours post dose, when the individual
18 responder data was examined not all patients were
19 uniformly protected at the 24-hour time point.

20 Note that labeling states that daily
21 administration of Singulair for the chronic
22 treatment of asthma has not been established to

1 prevent acute episodes of EIB. Use statements
2 were updated in section 8.4, and pediatric
3 information relating to this expanded indication
4 in patients 6 to 14 years of age was included
5 throughout labeling.

6 Now will discuss relevant safety
7 labeling. Singulair is contraindicated in
8 patients with a known history of hypersensitivity
9 to any component of the product. Note that
10 Singulair contains lactose. As you can see, there
11 are six subsections to the warnings and
12 precautions section, and I would like to discuss
13 the neuropsychiatric event subsection a little
14 further as these events will appear again later.

15 Section 5.4 discusses postmarketing
16 reports that include a range of neuropsychiatric
17 events related to aggression and agitation,
18 anxiety and depression including suicidality,
19 sleep and cognition, and motor disturbances. The
20 section goes on to note that the clinical details
21 of some of these reports appear consistent with a
22 drug-induced effect and advises patients and

1 prescribers and on management with regards to the
2 potential for these events. The most common
3 adverse reactions in controlled trials are noted
4 here and appear to be related to infectious
5 etiologies. The adverse reactions noted in the
6 clinical trials for EIB and pediatric patients
7 were similar.

8 Note that the previously mentioned
9 neuropsychiatric events are also as discussed in
10 section 6.2 under psychiatric disorders. This
11 section also includes labeling procedures.
12 Additionally in the sponsors labeling, a section
13 on renal and urinary disorders has been added
14 which notes reports of enuresis in children.

15 I acknowledge the comments made during
16 the public hearing on Singulair, and I have
17 pointed out areas of labeling that discuss
18 neuropsychiatric events because you will see in a
19 moment that neuropsychiatric events comprise the
20 majority of adverse events associated with
21 Singulair. Therefore, I would like to provide you
22 with a brief history regarding FDA's evaluation of

1 these events and association with montelukast use.

2 In 2008, FDA began reviewing FAERS data

3 and clinical trial data to evaluate the potential

4 association with leukotriene receptor antagonists.

5 In March of that same year, FDA released an early

6 safety drug communication which announced this

7 review and an increase in reporting of events to

8 FAERS was seen shortly thereafter. In August

9 2009, the aforementioned neuropsychiatric events

10 subsection was added to the precaution section of

11 labeling which is now the warnings and precautions

12 section in the PLR format.

13 In this 2008 review, FAERS researched

14 for events from February 1998 to March 2008 and

15 revealed 400 adverse event. Half of the cases

16 involved pediatric patients, and the most common

17 reason for use was asthma. Of the multiple

18 neuropsychiatric events reported, sleep disorders

19 and disruptive behavior were the most commonly

20 reported for all age groups. There was compelling

21 data from the reports to conclude that some of the

22 cases appeared to be consistent with the drug

1 effect, and you can see this language in labeling.

2 Now we'll turn our attention back to
3 this current focus safety review for Singulair
4 which reviewed data between March 2012, the date
5 of the labeling change for EIB, and September
6 2013. Let's begin with use.

7 This figure provides the number of
8 patients stratified by patient age who receive
9 dispensed prescriptions for montelukast from US
10 outpatient retail pharmacies from 2002 to 2013.
11 Let me orient you to the slide. The overall
12 number of patients increased from 3.5 million
13 patients in 2002 to 7.4 million patients in 2007.
14 It remained relatively steady thereafter. The
15 number of pediatric patients aged 0 to 16 years
16 increased to a peak of 3.1 million patients in
17 2007 before decreasing to 2.6 million patients in
18 2013. If you calculate, you can see that
19 pediatric use ranged from thirty-six to forty-four
20 percent of total use over the examined time
21 period.

22 We have seen how the use of montelukast

1 in pediatric patients changed over time in the
2 previous slide. We now focus on pediatric use
3 since the last pediatric labeling change in March
4 2012. This table provides the number of patients,
5 stratified by patient age, who received dispensed
6 prescriptions for montelukast. From March 2012
7 through September 2013, approximately 3.3 million
8 pediatric patients aged 0 to 16 years received
9 dispensed prescriptions for montelukast.

10 You can see again that total pediatric
11 use has remained around thirty-eight percent. The
12 highest proportion of these pediatric patients
13 were age 6 to 11 years, at approximately
14 forty-seven percent of the total pediatric
15 patients. Pediatric patients age 2 to 5 years and
16 12 to 16 years followed at approximately
17 twenty-nine percent and twenty-six percent
18 respectively of total pediatric patients.

19 This next graph shows the top 10
20 specialties prescribing dispensed prescriptions
21 for montelukast. Over the same time period,
22 approximately 40.8 million montelukast

1 prescriptions were dispensed. Family
2 practice/general practice/doctor of osteopathy
3 accounted for the highest proportion of total
4 dispensed prescriptions at thirty percent,
5 followed by pediatricians at eighteen percent, an
6 internal medicine t sixteen percent.

7 This next graph shows the top five
8 diagnoses associated with montelukast use
9 stratified by patient age. Over this same time
10 period, asthma and allergic rhinitis were the two
11 most common diagnoses associated with the use of
12 montelukast for pediatric patients.

13 Now we'll turn our attention to safety
14 and the pediatric-focused adverse events. You
15 will notice that 570 or approximately thirty-eight
16 percent of serious, adverse effects were reported
17 in pediatric patients. Of the 75 deaths, 5 were
18 reported in pediatric patients.

19 Now I will walk you through the case
20 selection. Of the 570 total serious pediatric
21 reports, we focused on the 162 events which
22 reported an outcome of death, life- threatening

1 event, hospitalization, or disability which
2 included five deaths. There were 21 duplicate
3 reports, and one report was excluded because it
4 was miscoded as a death. One-hundred-and-forty
5 serious pediatric cases remain, involving four
6 fatalities.

7 You will see that the demographics for
8 the pediatric adverse events varied widely with
9 respect to age and gender. In these next two
10 slides, you'll see that the serious, adverse
11 events were grouped into deaths, organ systems,
12 general or administration site conditions, or
13 miscellaneous events. The majority of cases
14 involved psychiatric or nervous system disorders,
15 and overall the adverse events were labeled.

16 I'm not going to discuss the labeled
17 events and will instead focus on the fatal and the
18 unlabeled serious events. First, I'll discuss the
19 four events that involved fatalities. In the
20 first event, a two-year-old male died of an
21 unknown cause after experiencing recurrent
22 episodes of fever, otalgia, and multiple

1 neuropsychiatric symptoms of which gait
2 disturbance was the only unlabeled event. In the
3 second case, the 16-year-old discontinued
4 montelukast for unknown reasons after a three-year
5 course for allergies and asthma and committed
6 suicide the following day. No further information
7 was provided for these two cases.

8 The third case involves a 12-year-old
9 female with asthma and allergic rhinitis and no
10 history of depression. She was switched to
11 generic montelukast after taking Singulair for 3
12 to 4 years and developed behavioral and sleep
13 changes. Poor compliance was noted. Despite
14 various changes to her treatment regimen,
15 including restarting and later stopping brand
16 Singulair, her symptoms persisted and she
17 committed suicide 4 months after the onset of her
18 symptoms.

19 In the final case, a nine-year-old male
20 with no history of depression or mood disorders
21 had been taking montelukast alone and died from an
22 apparently self-inflicted gunshot wound. Whether

1 his actions were intentional or an accident is
2 unclear due to the lack of information.

3 To summarize, there is one case where
4 the cause of death is unknown, and as you can see,
5 with the exception of gait disturbance, the
6 remaining neuropsychiatric adverse events are
7 labeled in the warning and precautions section.
8 Furthermore, limited information is provided in
9 order to assess causality.

10 Now let's discuss the nonfatal unlabeled
11 events. As we go through the cases, you will
12 notice that the majority involve the single
13 events, and many include confounding factors or
14 provide insufficient data thereby limiting
15 determination of causality.

16 There were 11 psychiatric unlabeled
17 events. Six of these cases report obsessive
18 compulsive disorder and psychotic disorder. Of
19 the six cases, a positive rechallenge was noted in
20 three. Two cases of Tourette's disorder are
21 reported without further information.

22 In an additional case, a two-year-old

1 reportedly developed motor tics 4 to 5 months
2 after starting montelukast and discontinued use 6
3 years later with a decrease in symptoms. Also, a
4 seven-year-old developed excessive eye blinking
5 with a positive rechallenge. In the final
6 unlabeled psychiatric case, a three-year-old
7 female began to exhibit abnormal behavior of
8 crying and wanting to be a baby again, which
9 resolved 4 days after discontinuation of
10 montelukast. In these psychiatric events,
11 insufficient data was provided to determine
12 causality.

13 Five cases involving nervous system
14 disorders were identified, all of which were
15 single events. A four-year- old developed knee
16 pain 7 years after starting montelukast that
17 progressed into an inability to walk over the next
18 four years. Note that labeling for Singulair
19 includes arthralgias and myalgia.

20 A 12-year-old female experienced
21 recurrent symptoms of loss of consciousness,
22 bradycardia, and pallor within 10 minutes of

1 montelukast use, suggestive of a hypersensitivity
2 reaction which ultimately resulted in cardiac
3 resuscitation.

4 In one foreign report, the 15-year-old
5 was diagnosed with neural vegetative dystonia with
6 marked psychosomatic signs and peripheral
7 vestibular syndrome after experiencing nausea and
8 vertigo on montelukast.

9 There was an isolated report of an
10 eight-year-old on multiple medications that
11 developed elevated intracranial pressure, and
12 finally a seven-year-old with asthma on multiple
13 medications that developed a speech disorder and
14 gait disturbance. In all these single neurologic
15 cases limited information was provided for proper
16 assessment of causality.

17 Seven cases identified general events
18 including four cases with worsening asthma or
19 bronchiolitis symptoms after switching to a
20 generic formulation, one case with an unspecified
21 event, one case where montelukast was reported to
22 be ineffective for the treatment of asthma, and

1 finally one case of a 13-year-old female with food
2 allergies who developed lip swelling 30 minutes
3 after taking cetirizine. She was also taking
4 montelukast, and note that both cetirizine and
5 montelukast contain lactose. Causality cannot be
6 determined because many patients were on
7 concomitant medications or had insufficient
8 clinical data.

9 Two cases involved isolated events of
10 immune system disorders. In both cases, patients
11 were taking multiple medications and were on
12 montelukast for an unknown duration. One patient
13 developed exfoliative dermatitis, and the other
14 developed systemic lupus erythematosus.

15 There are five cases involving
16 respiratory thoracic or mediastinal disorders.
17 Two patients were hospitalized for respiratory
18 failure, and one was hospitalized for obstructive
19 airways disorder. In one case, symptoms occurred
20 four hours after receiving a varicella
21 vaccination, and the other two cases were
22 associated with an asthma exacerbation.

1 In one case, a seven-month-old with an
2 upper respiratory infection developed apnea after
3 aspirating montelukast granules which were being
4 administered to treat asthma. There was also one
5 case in which a nine-month-old was taking
6 montelukast for obstructive bronchitis developed
7 pulmonary tuberculosis along with his three
8 siblings. Of note, the other three siblings were
9 not taking montelukast.

10 Many of these respiratory cases can be
11 attributed to the underlying disease state or are
12 confounded by concomitant exposures and
13 treatments.

14 The two gastrointestinal cases involved
15 a five- year-old with asthma and anemia on
16 multiple medications that developed celiac disease
17 after an unknown duration of montelukast therapy
18 and a 24-month-old that developed C diff colitis.
19 No further information was available.

20 In the single case involving skin and
21 subcutaneous disorders, a 12-week-old was started
22 on montelukast for RSV and developed loss of hair

1 from his head, erythroderma, and easily denuded
2 skin in the diaper area. Further information such
3 as timed onset or outcome of the event was not
4 provided to determine the relationship between his
5 symptoms and montelukast.

6 A couple miscellaneous events were
7 reported. The first involved an 11-year-old who
8 was taking montelukast and budesonide for asthma
9 that developed visual disturbance and a headache
10 that required hospitalization. No further
11 information was provided.

12 In the second, a four-year-old female on
13 multiple medications developed a headache,
14 language disorder, and fell two months after
15 starting montelukast. A cerebral CT was normal
16 and montelukast was discontinued. The patient
17 recovered from the events. Again, however,
18 information was limited, and the patient was
19 taking other medications that could be associated
20 with the events.

21 Three single events involving vascular
22 disorders were reported. In one case, a

1 two-year-old female with a history of multiple
2 respiratory infections, adeno-tonsillar
3 hypertrophy, and bilateral serous otitis underwent
4 two otolaryngology surgeries complicated by
5 infection with the first surgery and recurrent
6 hemorrhage with the second. She had received
7 multiple antibiotics, varying inhaled steroids,
8 and albuterol. A family history of coagulation
9 disorders was noted and was also suspected in this
10 patient. However, the hematologic evaluation was
11 incomplete.

12 In the other two single vascular events,
13 one patient on multiple medications developed a
14 hematoma with decreased platelet adhesiveness, and
15 the other developed Henoch-Schonlein Purpura with
16 diffuse joint swelling. In both cases, limited
17 data was provided and outcome was unknown.

18 There were two cases identified
19 involving blood and lymphatic system disorders.
20 In the first case, a 10- year-old male on multiple
21 medications, including pimecrolimus cream for an
22 atopic dermatitis study, developed Burkitt's

1 lymphoma. In a second case, a five-year-old male
2 was diagnosed with leukemia 2 years after starting
3 montelukast for asthma. Montelukast was
4 discontinued. Following treatment, both patients'
5 malignancies improved. Due to insufficient
6 clinical information and concomitant medications,
7 the role of montelukast could not be determined in
8 these cases.

9 Two cases of tachycardia resulting in
10 hospitalization were identified. However, the
11 patients were on multiple medications and limited
12 clinical information, including duration of
13 montelukast use, was provided to assess causality.

14 The final unlabeled event involved in
15 eight-year-old female that developed type I
16 diabetes mellitus one month after starting
17 montelukast. She was also taking cetirizine which
18 is labeled for diabetes. No other information was
19 provided.

20 This concludes the pediatric-focused
21 safety review. As a result of the studies
22 conducted under PREA, labeling has been updated to

1 expand the EIB indication to patients 6 years and
2 older. In summary, many of these events involve
3 single cases, had confounding factors, or provided
4 limited information from which to draw causality.
5 No new pediatric signals were identified, and the
6 FDA recommends ongoing surveillance be continued.
7 We also ask if the committee concurs. I'd also
8 like to acknowledge the folks on this slide.
9 Thank you.

10 CHAIRMAN TOWBIN: Thank you very much,
11 Dr. Radden. Just to underscore that Dr. Cataletto
12 has stepped away from the table for a discussion
13 of montelukast or Singulair. Comments or
14 questions from people? Ms. Celento?

15 MS. CELENTO: Yes, I just want to
16 reference the label. In the warnings and
17 precautions section, bullet number five,
18 neuropsychiatric events, I will say that if you
19 survey most Americans, they don't even know what
20 the term neuropsychiatric means. This is the kind
21 of thing that, when it ends up in a label and it's
22 a warning and precaution, it's just whitewashed.

1 I really want to stress the point that
2 there needs to be a clarification around
3 neuropsychiatric, and also when you reference a
4 subsequent section of the label with just the
5 number in parentheses, most people don't
6 understand that you're saying see section 5.6
7 below or section 5.4, whatever. I understand that
8 FDA doesn't own the label, but the manufacturer
9 does, and they really need to be addressing this.

10 We can't have people making
11 presentations about the trauma their children have
12 suffered because the parents were completely
13 unaware. Most people aren't going to read a whole
14 label, but they may pay attention to a warnings
15 and precautions section if it's carefully worded.
16 Thank you.

17 CHAIRMAN TOWBIN: Dr. Nykanen?

18 DR. NYKANEN: I would agree. I think
19 that one of the things concerning to me as I'm
20 listening to this is that most of the side effects
21 that we're seeing, first of all, they're
22 relatively less common or relatively uncommon, but

1 the thing that I find different about this is you
2 have the drugs that you're using to treat asthma
3 and you're having a side effect that has
4 neuropsychiatric implications.

5 What I'm more concerned about is the
6 disconnect. It's there. All that information is
7 there, but the signal- to-noise ratio is such that
8 it just doesn't hit. I'm pretty sure that if I
9 went to my pediatric pulmonologists and ask them,
10 they might say, 'Yeah, maybe there is some
11 association,' but this is the kind of thing that
12 may be underreported.

13 We had a similar conversation at one of
14 our previous meetings with a smoking-cessation
15 drug, and we were all very concerned because it
16 had a psychiatric component it. We said we want
17 to make sure we continue to track that. We are
18 tracking this, and everybody knows that with
19 smoking- cessation drugs there can be a
20 psychiatric impact to that.

21 I think where I'm having difficulties
22 here is that even out in practice I think the

1 awareness that there may be a neuropsychiatric
2 component where there may be a problem associated
3 with behavior or a problem associated with the
4 autonomic nervous system, we may not be picking up
5 the signal because of not looking for. I think
6 the surveillance is very appropriate. The
7 surveillance that's been going on is very
8 appropriate, but I wonder if there is some way
9 through MedWatch or some way that -- I'm sure the
10 people who work for the FDA are much better versed
11 than I am -- we can increase the awareness so that
12 we can understand what the numerator is for this.

13 CHAIRMAN TOWBIN: Dr. Mink and then Dr.
14 Dracker.

15 DR. MINK: I think the presentation and
16 review of this points out something we've
17 discussed on this committee before, and that is
18 the difficulty. It was brought up before too, the
19 difficulty with labeling these different adverse
20 events.

21 I'm a dystonia expert. I spend my
22 career studying dystonia. I never heard the term

1 neurovegetative dystonia ever. I had to look it
2 up. Apparently it's used a lot in Brazil, but I
3 had no idea what that is. Then when we look at
4 the laundry list of neuropsychiatric complaints,
5 and we see there's one of this and there's one of
6 that and then a couple of that, it suggests that
7 you can pick it apart and say there's no big
8 signal there. But clearly if you put all of these
9 things together into broader categories --
10 emotional changes, mood changes, etc -- it becomes
11 more significant.

12 I concur with the concern about labeling
13 these neuropsychiatric without further
14 elaboration, but I also have the same concern
15 about having this microscopic view, including
16 terminology that not even experts in the field
17 recognize as acceptable terminology.

18 CHAIRMAN TOWBIN: Dr. Dracker and then
19 Dr. Rosenthal.

20 DR. DRACKER: I just wanted to first
21 mention that despite these events that children
22 have experienced, that I've seen myself that have

1 not been persistent in nature once they've stopped
2 the medication, but I have seen it in my practice.
3 But I've been a very strong advocate of Singulair
4 as well as montelukast for patients with reactive
5 airway disease who its helped quite a bit and also
6 patients that have severe infliximab reactions,
7 immediate reactions. It's worked very well for
8 that.

9 Despite that, I looked into why it might
10 be causing a CNS issue. The reason I looked into
11 it is actually I studied it when I was a resident
12 looking at this whole pathway and platelets
13 unfortunately. It was unpleasant, but I did it
14 anyway. Regardless, apparently there is an issue
15 with regards to DNA methylation and lipoxxygenase
16 metabolism in the brain and leukotriene formation.
17 It's only affected a very small group of
18 individuals, and there is a small call for
19 epigenetic studies in some patients to see if they
20 are at risk for neurologic sequelae related to
21 leukotriene inhibitors.

22 I think it's something that we should

1 monitor, and we've talked about this before as
2 well, and that is to remind physicians to do a
3 better job reporting when they see side effects
4 from medications to our patients and not just
5 minimize complaints or experience the parents have
6 with their children. Saying, it's just your kid.
7 He'll grow old and develop a psychiatric problem,
8 and we'll deal with that. It may be real and may
9 be related to medicine, but they need to get us
10 the information.

11 CHAIRMAN TOWBIN: Dr. Rosenthal?

12 DR. ROSENTHAL: I first have a question.
13 I may have missed it because I was looking at
14 Tamiflu and something about the Tamiflu discussion
15 from many years ago, but is there an age-dependent
16 relationship or does there seem to be to the
17 reporting of these neuropsych symptoms?

18 CHAIRMAN TOWBIN: Dr. Radden, would you
19 have a comment about that or would somebody from
20 FDA?

21 DR. KALRA: Yes, I could speak to that.

22 CHAIRMAN TOWBIN: Who are you if you

1 don't --

2 DR. KALRA: Dipti Kalra, safety

3 evaluator --

4 CHAIRMAN TOWBIN: Thank you.

5 DR. KALRA: -- Office of (inaudible).

6 Looking at the Ferris cases, most of the
7 neuropsych events were between the age of 7 to
8 less than 17 years of age, that was the group that
9 we saw. We also looked at adults, and most of the
10 reports for 17 to less than 65 years of age.

11 DR. ROSENTHAL: One of the things that I
12 was remembering -- my wife will happily tell
13 anyone in the room that I usually misremember, so
14 correct me if I'm not remembering this correctly,
15 but in the Tamiflu discussions one of the things
16 that came up before the warnings are strengthened
17 related to Tamiflu and kids. There was an unusual
18 pattern of behavior; there were a few kids who
19 were caught around windows are outside of windows
20 and tall buildings. It was specific enough and an
21 unusual enough situation that it really grabbed
22 everyone's attention, and it was the specificity

1 that, I think, that drove to some extent the
2 Committee's interest in strengthening the
3 warnings.

4 In this case, to Dr. Mink's point, it
5 doesn't seem to be the specificity around the
6 exact story, but there does seem to be some
7 specificity around the class of AEs that are
8 reported for this agent to what seems to me to be
9 an equally noteworthy extent.

10 CHAIRMAN TOWBIN: Dr. White?

11 DR. WHITE: Michael White. I'm going to
12 play the devil's advocate because A) reporting
13 goes up once it gets out that there's a problem,
14 and it's obvious that people think there's a
15 problem, and there may be. I can't prove it one
16 way or the other, but I'm going to leave it to Dr.
17 Towbin and Dr. Mink to answer my question which is
18 what is the incidence of neuropsychiatric problems
19 in this age group, and is it significantly
20 different from what we're seeing here where we've
21 got 80 reports in 3.3 million patients taking this
22 drug?

1 It's going to be really hard to sort out
2 the signal-to-noise ratio here. If we look at
3 this statistically, we don't have a statistically
4 significant sample. If you look at the incidence
5 of neuropsychiatric problems in children between
6 the ages of 6 and 17, where the predominance of
7 the reports are, that's when they start showing
8 up. I believe that's correct. You guys are the
9 experts, so I'm (inaudible) you.

10 CHAIRMAN TOWBIN: Dr. Mink would like to
11 answer. I'm happy to follow up.

12 DR. MINK: Maybe you can follow up too.
13 Certainly the incidence and prevalence of this
14 group of disorders in this age range is
15 substantially higher than you would expect based
16 on the numerator and denominator we're talking.
17 What makes these different and concerning to me is
18 at least of the individual descriptions we've
19 gotten, it sounds like they're acute in onset,
20 some of them go away with (inaudible) medication.
21 It's very hard to tell from the information how
22 many do, but to have an acute onset is, in my

1 mind, different from a more insidious onset which
2 is a little more common in most of these
3 disorders.

4 DR. WHITE: But if you look at some of
5 the reports, the kids have been taking it for a
6 while, and they are also taking confounding drugs
7 at the same time. Many of them are taking
8 steroids which have neuropsychiatric --

9 CHAIRMAN TOWBIN: That may speak to the
10 way I was going to answer your question, if you
11 don't mind.

12 I think that the more specific question
13 you're asking is what is the rate of these kinds
14 of mood, behavioral, and thinking problems in
15 children with asthma who are the most likely
16 population (inaudible) because these kinds of
17 psychiatric problems are very common in children
18 who have relatively refractory asthma just as many
19 chronic pediatric illnesses seem to engender these
20 kinds of psychological or psychiatric difficulty.
21 The rate for all of those increases in children
22 with chronic medical conditions. Respiratory

1 conditions are not an exception to that.

2 I think that the review that came up for
3 the nonprescription advisory committee when this
4 was looked at for another reason really pointed to
5 the absence of information that we have. We
6 really don't have good data, and so the problem
7 here is that you're trying to distill from this
8 rather limited data whether there's a signal in
9 there. Dr. Mink, I think, very correctly points
10 out that acute onset of these kinds of problems in
11 children in this older age group and also the
12 challenge, rapid reduction in symptoms, in some
13 cases even report of rechallenge causing the
14 symptoms again, that leaves one uneasy.

15 You're right; when we see a case report
16 of someone who's been on a drug for 7 years and
17 then suddenly develops problems with mood or
18 problems with behavior we might think about other
19 kinds of etiologies when that's a stable dose.

20 But I don't think that you can dismiss all of
21 these either, and so at least what I was left with
22 when we were reviewing this rather recently is we

1 just don't know.

2 The Committee wants to advise FDA about
3 what they need to say or how we need to proceed,
4 but I don't think that we can get better data by
5 what we've got so far. I just don't think that
6 will give us what we need.

7 DR. WHITE: That's, kind of, what I'm
8 trying to get to; not that I really believe the
9 opposite. How do we get better data?

10 DR. MINK: This is John Mink again. If
11 I can just follow up. I agree with you completely
12 that we just don't know, and for each one of those
13 specific patient examples that was given, I can
14 find other explanations. It's the number that
15 makes me concerned out of proportion to other
16 things like the usual upper respiratory infection
17 and bruising or whatever else. The preponderance
18 of the reported adverse events that are in this
19 broad category of mood, behavior, thinking
20 problems, which I think are far better terms than
21 neuropsychiatric --

22 CHAIRMAN TOWBIN: I often have to

1 translate this for people.

2 DR. MINK: -- again makes me concerned
3 that we don't really know if this is truly a
4 causal relationship.

5 CHAIRMAN TOWBIN: Dr. Yang?

6 DR. YANG: I just wanted to piggyback on
7 what Dr. Towbin was stating. I just want to
8 provide some comments and then maybe rephrase and
9 ask the Committee.

10 First of all, I just wanted to make the
11 distinction between physician labeling and patient
12 counseling information. I would wholeheartedly
13 agree with Ms. Celento's description of
14 neuropsychiatric events and then the, sort of,
15 laundry list of things that flow from that as
16 being, sort of, jargon and not necessarily very
17 granular in terms of what we really think is going
18 on, and that's in physician labeling, so this is
19 prescriber labeling. We hope that alerts
20 prescribers to this collection of very -- may be
21 hard to connect, but that clearly we believe fall
22 into this category.

1 If you scroll down to the end of the
2 label, I'll direct your attention to patient
3 counseling information. Under that, there's a
4 whole section that says Singulair may cause
5 serious side effects, and it talks about behavior
6 and mood-related changes. It goes through what, I
7 think, is a list of things and hopefully are in
8 more patient-friendly language that describe
9 agitation, aggressiveness, behavior, attention
10 problems, bad or vivid dreams. So, I hope that
11 that speaks somewhat to trying to get the
12 information out to patients.

13 Now having said that, one of the things
14 that I hope Dr. Radden's presentation highlighted
15 was that we may not ever get to we know that this
16 mechanism causes this change, causes this
17 behavior. I'm not sure that we need to because I
18 think what we need to do is alert prescribers and
19 patients, and I think we've heard very compelling
20 testimony that there are still patients out there
21 who don't know that these are potential problems.

22 We sent out a drug safety communication

1 in 2008. We put this in warnings and precautions.
2 What I think would be helpful to FDA would be to
3 tell us how else can we communicate this
4 information. I think that's what I heard in the
5 testimony. Is there more that we can be doing as
6 FDA, as advisory committee, to communicate that
7 this is a problem or that we've seen it? It's in
8 labeling already.

9 My first question is what can we do?
10 Can you give us advice about how we should be
11 reaching out in communicating number one, and
12 number two is there really other data that we
13 believe needs to be collected before we
14 communicate additional information?

15 CHAIRMAN TOWBIN: Yes, Dr. Nykanen?

16 DR. NYKANEN: I don't know how the
17 politics of all this works, but is there a
18 mechanism wherein -- I'm sure that there are
19 groups like the American Academy of Pediatrics,
20 pulmonology societies that work with the FDA on
21 these types of issues -- getting that information
22 back to society saying that the Pediatric Advisory

1 Committee had this concern that there was a
2 disconnect in this drug.

3 There's the signal here that we've spent
4 the last 15, 20 minutes talking about, so it's got
5 to be real. No matter what we think about it,
6 it's enough that it's got our attention. Having
7 that conversation back with those societies, not
8 necessarily to suggest we need a study but to get
9 the information, the physicians doing the work and
10 the researchers doing the work and the
11 pulmonologists that are interested in this are
12 obviously very interested in if their drug is
13 causing side effects. They'll do the work.
14 They'll do a lot of that work and put those things
15 together because if it's an important thing they
16 will do it.

17 I guess what I'm asking is is it an
18 appropriate means? We talked about this in
19 Pediatric Infectious Diseases earlier with the
20 Levaquin. Is there a role for the communication?
21 I'm naove; I don't know if that already exists and
22 exists in a big way, but maybe there are some

1 things that come out of this meeting that should
2 come across as being, sort of, highlight, pressure
3 point kind of thing so that we can get rid of the
4 noise and focus on the signal. We've had a
5 daylong meeting, and we spent a long time talking
6 about this, so it is the kind of thing that we all
7 believe is important.

8 CHAIRMAN TOWBIN: Dr. Nelson, did you
9 want to say something?

10 DR. NELSON: I agree with Lynne that it
11 would be helpful, and some of the things that one
12 could consider, there's various communications
13 that FDA can make. Health safety communication,
14 different processes by which if a signal was of
15 concern that that can be released, and then that
16 would be picked up by professional organizations
17 and so on and so forth, so that's one mechanism.

18 The other would be a question of whether
19 or not you believe the risk-benefit evaluation
20 that a clinician would go through as an
21 appropriate balance within the label itself and
22 other things that ought to be elevated in terms of

1 the level of concern that it raises. Those are
2 some of the questions that I think you could
3 legitimately provide some advice because these are
4 in the label and they are there, but what we've
5 heard is that it just doesn't seem to be reaching
6 that level of concern that people think might be
7 appropriate. Can the label be changed to do that?
8 Limited impact, but it's something, or other
9 communications that we could make?

10 DR. NYKANEN: Maybe then -- if I could?

11 CHAIRMAN TOWBIN: Go ahead, Dr. Nykanen.

12 DR. NYKANEN: I'll use the follow up
13 since I trailed on my last line. One of the
14 things that I noticed in the label as I was trying
15 to figure out signal-to-noise as I'm reading the
16 label is the label says that if your child, your
17 patient, experiences these problems, give
18 consideration as to risk-benefit associated with
19 going through. Maybe it needs to be stronger than
20 that. Maybe it needs to be considered very
21 strongly stopping the medication; assessing the
22 behavior, and then terminating if the risk-benefit

1 is there.

2 As a physician, when I figure out if the
3 risk- benefit is there, if I'm not even aware
4 that's on the list or may or may not be involved
5 in aggressive behavior, it's, okay, well, a
6 12-year-old gets aggressive. On the other hand,
7 if the label says, 'If child's behavior changes,
8 give very serious consideration to stopping the
9 drug, reassess, and then determining if the drug
10 is going to hell.'

11 Nobody's going to be hurt from a month
12 off the drug, and if their behavior changes it
13 sure is going to raise my awareness that he
14 developed this aggressive behavior or this mood
15 disorder on the drug, and then I stopped drug, and
16 it went away. Boy, that sure makes me think that
17 the drug in the behavior are related. That
18 increases my awareness, changes my behavior, and
19 may help the patient.

20 CHAIRMAN TOWBIN: Dr. Reed, did you want
21 to say something? Then Dr. Wiefeling.

22 DR. REED: Yeah, Michael Reed. David

1 touched on what I was going to say in response to
2 Skip and Lynne's comments.

3 Yes, I think there's a clear disconnect
4 here. Looking at the warnings and precautions, I
5 think we're saying all the right things, but we're
6 not getting that across. If you read the
7 statement in label, as you just said,
8 'Neuropsychiatric events have been reported.
9 Instruct patients to be alert for neuropsychiatric
10 events.' If that terminology is not common to a
11 lot, I think we need to spell out some things that
12 better highlights the magnitude of what we're
13 talking about.

14 If you look at systemic eosinophilia,
15 and we comment that sometimes that presents with
16 clinical features of avascularitis gives a greater
17 magnitude of what that is where we could be just
18 modifying that statement somewhat to state,
19 'Dramatic changes in behavior including,' even if
20 you said suicidal ideation, which is seen here, I
21 think that would send a message a lot more clearly
22 in that section be of much greater benefit to the

1 prescriber in putting some of this into
2 perspective.

3 CHAIRMAN TOWBIN: Dr. Wiefeling, and then
4 Dr. White. Please.

5 DR. WIEFLING: Thanks. This is
6 Bridgette Wiefeling. Normally I'm all over bad
7 labeling, but the patient section on this one is
8 pretty good, and it's pretty clear that it says
9 tell your doctor if you're experiencing any of
10 these, and it is very much in lay language. I
11 can't pick it apart from that perspective, and it
12 does use suicidal thoughts as one of the things
13 that's listed, so I think we're getting back to
14 the issue of just public awareness.

15 It seems to me that I remember a few
16 years back we had a similar situation, and I don't
17 want to say the drug that I believe that it was
18 in, but we did just a provider update information
19 letter that we had sent out, like a 'beware of
20 this' kind of thing. I'm just wondering if that
21 -- not a black box wording because I think we're
22 not there yet it all on any of that stuff, but

1 just something that says, hey, you guys might want
2 to take a look at this as providers because we're
3 starting to see some of these. I guess I'm
4 questioning does it raise to that level at this
5 point.

6 CHAIRMAN TOWBIN: People may want to
7 actually weigh in on that or maybe thing about it.
8 Dr. White?

9 DR. WHITE: Michael White. I think Dr.
10 Nykanen's comments were quite helpful, and I think
11 Dr. Nelson as well. I don't think that you can
12 send out a black box warning and say, don't give
13 this drug. We're nowhere near that, but is there
14 -- and it sounds like maybe you've done this
15 before -- you send out some letter to practicing
16 physicians (inaudible) family practice and
17 pediatrics as well because a lot of kids are taken
18 care of by family practitioners just to state that
19 if you observe these things in your patients or
20 your families come to you, you should consider a
21 trial off medication to see if the symptoms change
22 in any significant way and give some advice for

1 how to proceed. Not just be aware of it, but
2 maybe we should proceed with a trial off
3 medication to see if the symptoms resolve or the
4 behaviors change.

5 I don't know how that can be
6 accomplished. I don't know what the authority of
7 this Committee is to have things like that sent
8 out to people.

9 DR. MURPHY: We did that.

10 DR. WHITE: We did that? What did we
11 did?

12 CHAIRMAN TOWBIN: Go ahead, Dr. Nelson.

13 DR. NELSON: I always deal with primary
14 data, so I'm going to look at it myself, although
15 I believe (inaudible).

16 CHAIRMAN TOWBIN: Thank you. No, that's
17 fine.

18 DR. NELSON: Basically the information
19 I'm told is that the letter that we sent out in
20 2009 says that you ought to consider discontinuing
21 the therapy.

22 DR. WHITE: Apparently didn't help, did

1 it?

2 DR. YAO: I think that the question
3 really is not that we did it. I think the
4 question is is I think that we heard that we did
5 it, and Dr. (inaudible) points out that we were
6 very quick to send out a drug safety communication
7 which is our way; wrap up communication and get it
8 out. That was done, and we did send out dear
9 healthcare provider letters, and that's been 5
10 years. I think maybe people have forgotten that.

11 DR. WHITE: Yes, (inaudible).

12 DR. YAO: There are new providers too,
13 so I'm not saying that that didn't work. I'm just
14 saying that maybe it did work. I was struck by
15 the use data that presented in one of the slides
16 that from the peak in 2008 you see it actually
17 come down, and it seems to be correlated,
18 temporarily, with that communication. But it's
19 been pretty flat, so is there a need then to
20 reiterate something from 5 years ago? That's
21 really the question, I suppose, we're asking.

22 DR. RADDEN: This is Dr. Radden.

1 Reporting increased after the drug safety
2 communication as well.

3 CHAIRMAN TOWBIN: I'm sorry?

4 DR. RADDEN: Reporting increased after
5 that drug safety communication.

6 CHAIRMAN TOWBIN: I bet that's true, Dr.
7 Radden. Thank you for that. Dr. Cunningham?

8 DR. CUNNINGHAM: Sure, Melody
9 Cunningham. I agree that the information in the
10 labeling is appropriate, but it's very difficult
11 in its formatting to find what you need to find,
12 and there's all kinds of information about the
13 dosing in different age groups which ought to be
14 on the prescriptions that are given to the patient
15 from the doctor and ought to be clearly given in
16 the office, clearly given on the prescription.
17 They have all of that information, and then you
18 drop down to questions about safety issues.

19 I think even a formatting of this
20 information that ought to be straightforward --
21 given in the office, given on the prescription --
22 not be very high on the labeling, but if they were

1 asked to move the safety information up to the top
2 and then format such that -- really, if you look
3 at it, it all lines up on the left. It sounds
4 silly, but it does make it difficult to pull out
5 what you need.

6 CHAIRMAN TOWBIN: Dr. Rosenthal, then
7 Dr. White.

8 DR. ROSENTHAL: I was getting confused
9 with the label as well. If you go to the end of
10 what looks like the label -- on my PDF it's down
11 on page 2425 -- it's in a section that's
12 specifically for the patient. I think that's the
13 area where it's really very clear, and I just
14 wanted to reiterate Dr. Wiefeling's comments that
15 the language that's used, the way that it's laid
16 out, it says, 'Singulair may have serious side
17 effects' and then bullet number one is behavior
18 and mood-related changes. Then there are a number
19 of bullets underneath that that are, I think, in
20 language that people will understand.

21 CHAIRMAN TOWBIN: Dr. Mink?

22 DR. MINK: Could that language be

1 replicated above in what the physicians read
2 because a lot of physicians don't read the patient
3 information.

4 CHAIRMAN TOWBIN: Dr. Nelson, why don't
5 --

6 DR. NELSON: I'd like to ask a concrete
7 question. This label happens to be in the new
8 labeling format with the highlight section at the
9 top. On the assumption that physicians may want
10 to read the Cliff notes version and may not read
11 the entire document, the concrete question would
12 be if looking at the warning and precautions
13 section in the highlights, whether the three
14 sentences that are there could be improved
15 specifically to highlight this concern, and how
16 one might improve those sentences? I don't want
17 to put words in your mouth, but I guess that's
18 where one could focus.

19 CHAIRMAN TOWBIN: No, I think your
20 questions are always useful to us, Skip. That's
21 not a concern. One thing is maybe we want to
22 remove fancy words like neuropsychiatric because

1 one of the things that ties Dr. Mink's comments
2 and mine together is we don't really believe that
3 problems in gait and disturbances in speech and
4 cognition come from a different organ than the
5 ones that relate to mood and behavior. Maybe
6 instead of neuropsychiatric, we want to talk about
7 mood, behavior, and thinking. Those kinds of
8 things might tie those together.

9 DR. NELSON: If I may make an analogy, I
10 sometimes said in my previous life as an
11 (inaudible), you do a child a (inaudible) that's
12 often better to be used for the parents because
13 it's more understandable. What I hear you
14 suggesting is what we've developed for the patient
15 information and counseling information might be
16 better for the physicians.

17 CHAIRMAN TOWBIN: It would be really
18 good if they agreed. I think that --

19 DR. NELSON: That's, kind of, what I'm
20 hearing.

21 CHAIRMAN TOWBIN: Yeah, I think that's
22 right. Ms. Celento?

1 MS. CELENTO: Amy Celento. To Skip's
2 point, are you talking to a sixth grader? That's,
3 kind of, the level that you want to go for for a
4 form of consent; it's the same kind of thing here.

5 CHAIRMAN TOWBIN: Right. I think that's
6 exactly right. Dr. White?

7 DR. WHITE: I almost forgot. This is a
8 regulatory thing, and I need help with it. The
9 label belongs to the company. Is there anyplace
10 at our website where we could put up what's at the
11 end of that label as patients looking for
12 information on Singulair, can patients go there
13 and get that summary of patient information
14 independent of the label? I don't think families
15 really look at the label very often, but if
16 families are aware that there's a problem with the
17 drug, and they're going to be aware of that, is
18 there someplace we can make that easily available
19 to them? Do we have the facility to do that?

20 CHAIRMAN TOWBIN: Dr. Nelson?

21 DR. NELSON: If you go to your pharmacy
22 and get a prescription, there is a printout that

1 comes with it. I'm assuming --

2 CHAIRMAN TOWBIN: That's what they get.

3 DR. NELSON: -- that they get the
4 patient information, that that printout may be
5 modified by the particular vendor, but it would
6 include the information that's in the patient
7 counseling form, not the label.

8 DR. WHITE: Right. Do you read that?

9 DR. NELSON: Yeah.

10 DR. WHITE: When you get it?

11 DR. NELSON: The first time I get a new
12 drug from my doctor I do read it, yes. But
13 whether there'd be any chance of reading that less
14 than you would go to a website, I guess, is an
15 open question.

16 CHAIRMAN TOWBIN: Ms. Celento?

17 MS. CELENTO: Amy Celento. I want to
18 make a comment to what you're saying, skip. It
19 depends on how old you are. Honestly, if you're
20 probably somewhere 15 to 27, you don't read
21 anything on a piece of paper. You look it up.
22 You get out your phone, that's it. You don't read

1 anything someone hands you. I think we have to
2 look at that as, to the point, I think, Michael
3 White made, is there something on a website? Is
4 there a way to have a summary? People look to
5 YouTube to find out information. They don't look
6 to a piece of paper.

7 CHAIRMAN TOWBIN: Dr. Nykanen, did you
8 want to make a comment here? Then Dr. Reed.

9 DR. NYKANEN: Sure. I think in addition
10 to your comments, just thinking about concrete
11 things that can be done on the label, my
12 suggestion would be that in the warnings and
13 precautions area that we make some of the
14 adjustments to the term neuropsychiatric as you
15 suggested. Instead of saying evaluate the risks
16 and benefits of continuing treatment, I would say
17 consider strongly discontinuing treatment,
18 reevaluating the patient, and determining if the
19 risks outweigh the benefit in the event that these
20 things occur.

21 Thirdly, to maybe have another dear
22 practitioner type of letter, and fourthly to maybe

1 communicate with some of the societies that this
2 has hit our radar because then maybe they'll go
3 back, and you get a cross pollination. Those
4 would be four concrete things that I could think
5 of.

6 CHAIRMAN TOWBIN: Dr. Reed?

7 DR. REED: Michael Reed. Actually David
8 touched on the two things that I was going to say.
9 I had articulated earlier about the warnings and
10 precautions section. I do believe it needs to be
11 modified. I do believe it needs to be
12 strengthened relative to the serious risks
13 associated with that. I think that will get
14 attention. I also do believe that it's also time
15 to send out a reminder letter. Different time,
16 different place.

17 CHAIRMAN TOWBIN: Dr. Hudak, and then
18 Dr. Cunningham.

19 DR. HUDAK: I think I agree in principle
20 with most of the comments about improving the
21 clarity of the labeling. I think the essentials
22 are there, but the clarity can be improved.

1 I do have a question though. I do think
2 that all of these cases -- there were 224
3 deescalated and 24 rechallenges for 260 or
4 something cases in FAERS where there seemed to be
5 a clearer connection between drug and effect.
6 This sounds like a really good project for someone
7 in NIH to do epigenetic analysis of these
8 children. Is there a mechanism to make that
9 happen or to initiate some project? I think it
10 would be really fascinating if you had a bunch of
11 these children -- to have blood and look at it and
12 say, okay, can we identify a common factor that
13 puts these children at risk and then do a
14 mechanistic investigation, getting to the point of
15 the presenter that perhaps some of these children
16 could be identified as being at higher risk ahead
17 of time? I think that would be a fascinating
18 scientific study, and it's where medicine is
19 going.

20 CHAIRMAN TOWBIN: Dr. Nelson?

21 DR. NELSON: You would need a repository
22 of blood at the very least, and then a large

1 clinical data set that would include some of these
2 adverse events. The question would be who might
3 have that?

4 DR. HUDAK: This would be in the arena
5 of -- the AAP sponsors a pediatric, sort of,
6 office-space trials network. This would be the
7 sort of thing if you had practitioners aware that
8 they have this issue that they could report some
9 history. (inaudible) the parents to draw some
10 blood or things and have a nationwide sampling.

11 DR. NELSON: Just (inaudible) need to
12 run the samples, so --

13 DR. HUDAK: Right, yeah.

14 CHAIRMAN TOWBIN: Dr. Cunningham?

15 DR. CUNNINGHAM: Sure. Melody
16 Cunningham. I guess I'm sticking on this point.
17 Even on page 24, I agree with you that the
18 information is clear. I don't think that it's
19 laid out very clear, and it's well below the
20 information that says for this age group this is
21 the dosing, for this age this is the dosing, which
22 is really, to me, superfluous for patient

1 information because they've gotten that in another
2 way. I think to recommend bringing the
3 information from page 24 higher up to the patient
4 information actually would make it more accessible
5 to the families.

6 CHAIRMAN TOWBIN: Dr. Wiefeling and then
7 I think Dr. Mink or Dr. White, but I do want to
8 begin to wrap this up a bit. Dr. Wiefeling,
9 please.

10 DR. WIEFLING: Just a practical note.
11 When we send a letter out I think the other thing
12 is that if you want to make that change to the
13 labeling, make sure you let UpToDate know because
14 I think that's where most of the doctors get it
15 from. It's clearly there from the label, but if
16 you're going to make recommendations about
17 changing it I would make sure they get it.

18 The other thing is when you use the FDA
19 access site for the label, it's the old label
20 format; it's not the new label format, so that's
21 another thing you just might want to check into.

22 CHAIRMAN TOWBIN: Good. The duo there

1 of Dr. Mink and Dr. White, which of the two of you
2 was going to speak next?

3 DR. MINK: I think we simultaneously
4 went to our friend, Dr. Google, and searched for
5 Singulair side effects.

6 DR. WHITE: We did. What was yours that
7 came up?

8 DR. MINK: I came up with the
9 MedLinePlus drug information that's published by
10 the National Library of Medicine in conjunction
11 with the American Society Health- System
12 Pharmacists. Under side effects they list:
13 Headache, dizziness, heart burn, stomach pain,
14 tiredness, difficulty breathing, swelling,
15 hoarseness, itching, rash, and pain. Then they
16 say, 'May cause other side effects. Call your
17 doctor if you have unusual problems.'

18 There's another section: What special
19 precautions should I follow? Then there's a
20 paragraph, 'You should know that your mental
21 health may change in unexpected ways while you're
22 taking this medicine.' That's one source of

1 information, and that is the same federal
2 government that is sponsoring this meeting that's
3 providing something that is very different from
4 what is on the patient information on the
5 packages.

6 CHAIRMAN TOWBIN: I suspect that NLM,
7 the National Library of Medicine, probably didn't
8 consult with the FDA about how to go about doing
9 that, although I'm not quite sure how MedLinePlus
10 gets to what it does. There's also a thing called
11 DailyMed that's also National Library of Medicine,
12 and I bet that would have a different kind of
13 listing.

14 DR. WHITE: I got everydayhealth.com
15 which has nothing to do with anything we do. It
16 says, 'Call your doctor at once if you have a
17 serious side effect such as: Skin rash, bruising,
18 severe tingling, numbness, pain, muscle weakness,
19 number 2, mood or behavior changes, anxiety,
20 depression, or thoughts about suicide or hurting
21 yourself.' It's out there, and apparently you can
22 find it on your phone in a heartbeat. That's the

1 very first thing that came up when I put in
2 Singulair side effects.

3 CHAIRMAN TOWBIN: Its in everyday
4 parlance.

5 DR. WHITE: Yeah. It seems as though
6 our best bet is really just going to be to send
7 that letter out again and --

8 CHAIRMAN TOWBIN: Let me see if I can
9 make a statement that will weave these together,
10 and I do think we're probably looking at a couple
11 of votes on this.

12 If I'm grasping the will of the people
13 accurately here, I think that there are concerns
14 about the labeling. I think people would like to
15 have more plain language in their concerns,
16 particularly problems in mood, thinking, and
17 behavior fall in the consumer information. That
18 is, the format and the way that they're presented
19 doesn't make for an easy finding of those. We
20 think these are pretty important side effects for
21 people to know about.

22 If such a change were made that there

1 might be a subsequent letter that would go out
2 that would remind people about these, perhaps
3 using the change in this consumer information as a
4 basis for sending a letter out just so that it's
5 more highlighted for people. It sounds as if that
6 certainly could be accomplished.

7 I think Dr. Nelson's question about how
8 to reach out might be answered by that
9 recommendation from this Committee. There's
10 enough of a consensus here, I'm hearing, that
11 people would like those two things to be
12 different.

13 As to how to get better data, I think
14 that we don't have a uniform voice, but we're
15 speaking about that. I do think that there is a
16 uniform wish that we had a better sense than the
17 AER system to begin to get the information about
18 the frequency of these kinds of events. I don't
19 think that we've come up with a really great
20 recommendation for how to go about doing that, but
21 I do think that we certainly would wish that we
22 could have that.

1 If there was an opportunity as you have
2 discussions with the Heart, Blood, and Lung
3 Institute or NICHD or anybody -- I do think NIH is
4 probably the right place to begin to think about
5 this kind of problem. I do think these are
6 matters of pretty profound public health that have
7 people concerned. Maybe even NIMH, but I think
8 those other institutes are more likely to be the
9 ones that are going to be looking at these kinds
10 of problems.

11 I think this first thing, if I can just
12 frame something that we might vote on, is that we
13 would request that there be a review of the
14 labeling, particularly the consumer information
15 available with an eye toward formatting in
16 particular. Based on that, there would also be
17 secondarily a letter that would be sent out to
18 providers informing them about some of these
19 changes and concerns that families be reminded
20 about side effects from this particular agent.

21 Dr. Nelson?

22 DR. NELSON: Let me just ask for

1 clarification because --

2 CHAIRMAN TOWBIN: Sure.

3 DR. NELSON: -- what I heard,
4 specifically the prescriber labeling information
5 was not nuanced enough in terms of
6 neuropsychiatric as far as the jargon there. The
7 comment about consumer information was that it was
8 there but perhaps it was there later than it ought
9 to be. That it might be better to be higher up in
10 the consumer information so you get there first
11 instead of getting it after the dosing, but that
12 actually the way that it described in the consumer
13 information was pretty good. Is that --

14 CHAIRMAN TOWBIN: That is correct.
15 Actually this is a (inaudible) question. I was
16 going to say does the sponsor also own that
17 consumer information? I know they do the label
18 for the drug itself.

19 DR. NELSON: I don't know that question,
20 but basically if they're safety labeling the FDA
21 has much more jurisdiction over safety labeling
22 than it might over kinds of labels.

1 CHAIRMAN TOWBIN: Then my sense was that
2 the group really wanted what was in the label to
3 agree with what has been put in put in effect.

4 DR. NELSON: Right. We can work out
5 what authority we have, but we'll make every
6 effort to do it.

7 CHAIRMAN TOWBIN: Okay, thank you.

8 DR. REED: Dr. Towbin, I think there was
9 more consensus of the consumer labeling being
10 rather strong, straightforward.

11 CHAIRMAN TOWBIN: Clear.

12 DR. REED: Skip brought up that the
13 professional labeling needed the tweaking. I
14 would just modify your comment to that.

15 CHAIRMAN TOWBIN: All right. I'll try
16 one more time. Dr. Rosenthal?

17 DR. ROSENTHAL: Specifically I think
18 that Dr. Nelson's point, the warnings and
19 precautions, which is in the main part of the
20 label, is an area that seems to be of opportunity
21 for strengthening that (inaudible).

22 CHAIRMAN TOWBIN: All right. The three

1 recommendations, thank you very much for assisting
2 me. I'll get there eventually. The first is that
3 the label itself should have the same kind of
4 clarity and transparency that we see in the
5 consumer labeling. That people would like to see
6 the consumer labeling formatted in a way that it's
7 easier and actually comes higher up in the
8 identification of things for people to be aware
9 of, and that with these changes that there would
10 be a letter sent out to providers so they're aware
11 of these changes. Did I get that? I'm seeing
12 nods. All right.

13 I think we should vote on that, and
14 we'll talk about the question. Can I see a show
15 of hands of people who would agree with those as
16 what the Committee would recommend? Good.
17 Anybody disagree? No, okay. Dr. Wiefeling, if
18 you'll help us?

19 DR. WIEFLING: This is Dr. Bridgette
20 Wiefeling, and I concur.

21 DR. YANG: Lynda Yang. I agree.

22 DR. MINK: Jon Mink. I think this has

1 been very useful, and I concur.

2 DR. WHITE: Michael White. I agree.

3 DR. BAKER: Susan Baker. I agree.

4 DR. DRACKER: Bob Dracker. I agree.

5 MS. CELENTO: Amy Celento. I agree.

6 DR. REED: Michael Reed. I agree.

7 DR. NYKANEN: Dave Nykanen. I concur.

8 DR. ROSENTHAL: Rosenthal, agree.

9 DR. HUDAK: Mark Hudak. I agree.

10 DR. CUNNINGHAM: Melody Cunningham. I
11 concur.

12 CHAIRMAN TOWBIN: Thank you. Dr.
13 LaRussa has left for the day, so he's not on this
14 vote.

15 Then we come to the question that the
16 FDA is recommending continuing ongoing
17 surveillance; do we concur? Show of hands for
18 people. Good. Dr. Cunningham, we'll go back this
19 way now.

20 DR. CUNNINGHAM: Melody Cunningham. I
21 concur.

22 DR. HUDAK: Mark Hudak. I agree.

1 DR. ROSENTHAL: Rosenthal. I agree.

2 DR. NYKANEN: Dave Nykanen. I agree.

3 DR. REED: Michael Reed. I concur.

4 MS. CELENTO: Amy Celento. I concur.

5 DR. DRACKER: Bob Dracker. I concur.

6 DR. BAKER: Susan Baker. I concur.

7 DR. WHITE: Michael White. I agree.

8 DR. MINK: Jon Mink. I concur.

9 DR. YANG: Lynda Yang. I agree.

10 DR. WIEFLING: Bridgette Wiefeling. I
11 concur.

12 CHAIRMAN TOWBIN: All right, good. I

13 guess that will allow us to move along, and we can
14 talk about Voluven. Thank you all very much.

15 This is Dr. Ravi Goud who is a Medical
16 Officer in the Analytic Epidemiology Branch of the
17 Division of Epidemiology at the FDA Center for
18 Biologics Evaluation and Research. Dr. Goud
19 attended medical school at Ohio State and
20 completed his preventative medicine residency at
21 Johns Hopkins. Prior to joining CBER in 2011, he
22 led a variety of projects funded by USAID, the