

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUG ADVISORY COMMITTEE

Session 1

Thursday, November 19, 2015

8:01 a.m. to 10:12 a.m.

FDA White Oak Campus
10903 New Hampshire Avenue
Building 31 Conference Center
The Great Room (Rm. 1503)
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren D. Tesh, PharmD, BCPS**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Deborah K. Armstrong, MD**

10 ***(Session 1 Only)***

11 Professor of Oncology

12 The Sidney Kimmel Comprehensive Cancer Center at

13 Johns Hopkins

14 The Johns Hopkins University School of Medicine

15 Baltimore, Maryland

16

17 **Alberto Pappo, MD (Chairperson)**

18 Member and Head, Division of Solid Malignancies

19 St Jude Children's Research Hospital

20 Professor of Pediatrics

21 University of Tennessee Health Science Center

22 Memphis, Tennessee

1 **TEMPORARY MEMBERS (Voting)**

2 **Anne L. Angiolillo, MD**

3 Director, Leukemia & Lymphoma Program

4 Division of Oncology

5 Center for Cancer and Blood Disorders

6 Children's National Medical Center

7 Professor of Pediatrics

8 The George Washington University School of

9 Medicine and Health Sciences

10 Washington, District of Columbia

11

12 **Steven G. DuBois, MD, MS**

13 Director, Experimental Therapeutics

14 Dana-Farber/Boston Children's Hospital

15 Faculty of Pediatrics, Harvard Medical School

16 Boston, Massachusetts

17

18 **Pamela (P.J.) Haylock, PhD, RN, FAAN**

19 ***(Acting Consumer Representative)***

20 Medina, Texas

21

22

1 **Mark W. Kieran, MD, PhD**

2 Director, Pediatric Medical Neuro-Oncology

3 Dana-Farber Cancer Institute/Boston Children's
4 Hospital

5 Director, Pediatric Brain Tumor Clinic

6 Dana-Farber Cancer Institute/Boston Children's
7 Hospital

8 Associate Professor of Pediatrics

9 Harvard Medical School

10 Boston, Massachusetts

11

12 **AeRang Kim, MD, PhD**

13 Attending Physician, Division of Oncology

14 Children's National Medical Center

15 Assistant Professor, Department of Pediatrics

16 George Washington School of Medicine and
17 Health Sciences

18 Washington, District of Columbia

19

20

21

22

1 **Elizabeth A. Raetz, MD**

2 Professor of Pediatrics

3 Pediatric Hematology/Oncology

4 University of Utah

5 Huntsman Cancer Institute

6 Primary Children's Hospital

7 Salt Lake City, Utah

8
9 **Brenda Weigel, MD, MSc**

10 ***(Session 1 Only)***

11 Associate Professor

12 Developmental Therapeutics Chair

13 Children's Oncology Group

14 Division Director, Pediatric Hematology/Oncology

15 University of Minnesota

16 Minneapolis, Minnesota

17
18 **Susan Weiner**

19 ***(Patient Representative)***

20 Brooklyn, New York

21

22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Phuong Khanh (P.K.) Morrow, MD, FACP**

4 Executive Medical Director, Amgen Oncology

5 Therapeutic Area Head, US Medical Organization

6 Thousand Oaks, California

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Gregory Reaman, MD**

10 Associate Director for Oncology Sciences

11 OHOP, OND, CDER, FDA

12

13 **Amy Barone, MD**

14 Medical Officer

15 Division of Oncology Products 2 (DOP2)

16 OHOP, OND, CDER, FDA

17

18 **Lori Ehrlich, MD, PhD**

19 Medical Officer

20 Division of Hematology Products (DHP)

21 OHOP, OND, CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Leigh Marcus, MD

Medical Officer

DOP2, OHOP, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	9
5	Introductory Remarks	
6	Gregory Reaman, MD	12
7	Pediatric Legislation Initiatives and	
8	Cancer Drug Development	
9	Amy Barone, MD, MSCI	14
10	Session 1: ABT-414 - AbbVie, Inc.	
11	Conflict of Interest Statement	
12	Laura Tesh, PharmD, BCPS	19
13	Sponsor Presentation - AbbVie, Inc.	
14	ABT-414 for the Treatment of Pediatric	
15	Patients with High Grade Gliomas	
16	Kyle Holen, MD	24
17	Clarifying Questions from Subcommittee	42
18	Questions to the Subcommittee and Discussion	97
19	Adjournment	123
20		
21		
22		

1 P R O C E E D I N G S

2 (8:01 a.m.)

3 **(Call to Order**

4 **Introduction of Subcommittee**

5 DR. PAPPO: Good morning. I would first
6 like to remind everyone to please silence your cell
7 phones, smartphones, and any other devices if you
8 have not already done so. I would also like to
9 identify the FDA press contact, Sarah Peddicord.
10 If you are present, please stand.

11 I would like now to introduce the members of
12 the panel, and we'll start with Dr. Morrow. We're
13 going to start introducing ourselves. Can you
14 introduce --

15 DR. MORROW: P.K. Morrow, industry
16 representative from Amgen.

17 DR. KIM: AeRang Kim, pediatric oncologist
18 from Children's National.

19 DR. DuBOIS: Steven DuBois, pediatric
20 oncologist, Dana-Farber, Boston Children's.

21 DR. RAETZ: Elizabeth Raetz, pediatric
22 oncologist, University of Utah.

1 MS. WEINER: I'm Susan Weiner. I'm the
2 patient advocate from the Children's Cause for
3 Cancer Advocacy and the Children's Brain Tumor
4 Foundation in New York. I'm a veteran.

5 MS. HAYLOCK: I'm Pamela Haylock. I'm an
6 oncology nurse, and I am the acting-consumer
7 representative.

8 DR. ARMSTRONG: Deb Armstrong, medical
9 oncologist and chair of ODAC.

10 DR. PAPP0: Alberto Pappo, pediatric
11 oncologist and chair of the Pediatric ODAC.

12 DR. TESH: Lauren Tesh, designated federal
13 officer for ODAC.

14 DR. KIERAN: Mark Kieran, pediatric
15 neuro-oncology from the Dana-Farber Boston
16 Children's Hospital.

17 DR. WEIGEL: Brenda Weigel, pediatric
18 oncologist, University of Minnesota and chair of
19 developmental therapeutics for the Children's
20 Oncology Group.

21 DR. EHRLICH: Lori Ehrlich. I'm a medical
22 officer at the FDA and a pediatric hematologist

1 oncologist.

2 DR. BARONE: Amy Barone, medical officer at
3 FDA and also pediatric hematologist oncologist.

4 DR. REAMAN: Gregory Reaman, associate
5 director of the Office of Hematology Oncology
6 Products, FDA.

7 DR. PAPP0: Thank you.

8 For topics such as those being discussed at
9 today's meeting, there are often a variety of
10 opinions, some of which are quite strongly held.
11 Our goal is that today's meeting will be a fair and
12 open forum for discussion of these issues and that
13 individuals can express their views without
14 interruption. Thus, a gentle reminder, individuals
15 will be allowed to speak into the record only if
16 recognized by the chairperson. We look forward to
17 a productive meeting.

18 In the spirit of the Federal Advisory
19 Committee Act and the Government in the Sunshine
20 Act, we ask that the advisory committee members
21 take care that their conversations about the topic
22 at hand take place in the open forum of the

1 meeting. We are aware that members of the media
2 are anxious to speak with the FDA about these
3 proceedings.

4 However, FDA will refrain from discussing
5 the details of this meeting with the media until
6 its conclusion. Also, the committee is reminded to
7 please refrain from discussing the meeting topic
8 during breaks. Thank you.

9 We will proceed with the opening remarks
10 from Dr. Greg Reaman, followed by a presentation by
11 Amy Barone.

12 **Introductory Remarks**

13 DR. REAMAN: Thank you, Dr. Pappo. I would
14 just like to take the opportunity to welcome and to
15 thank all of the members of the panel. We're here
16 today to discuss two products, one approved, one
17 not yet approved, and to discuss them within the
18 context of their potential relevance for evaluation
19 and assessment in children with cancer.

20 Dr. Barone, shortly, will provide a little
21 bit of a background on the pediatric legislative
22 initiatives, which provide a regulatory base for

1 the evaluation of new drugs, including new cancer
2 drugs in the pediatric population.

3 You'll see from her presentation -- and we
4 can discuss a bit after if necessary -- that the
5 majority of what we can do in oncology is utilize
6 to our maximum authority the Best Pharmaceuticals
7 for Children Act and issue written requests, which
8 are voluntary on the part of industry to accept and
9 then to develop and conduct appropriate studies.

10 We're really here today to discuss two
11 products to see if there is a feeling of a
12 consensus among you that these products are worthy
13 of investigation and how best they might be
14 investigated.

15 It makes little sense for us at the agency,
16 even though there are now a number of pediatric
17 oncologists in our office to actually put into the
18 written requests, which are pretty detailed pieces
19 of information that actually go to sponsors, the
20 details of studies and investigations that are
21 actually going to be conducted by people outside of
22 the FDA.

1 Having appropriate investigator input into
2 this process makes the whole process much more
3 rational, much more efficient, and really allows us
4 to issue requests that are going to be followed up
5 on.

6 So with that, again, thank you, and I think
7 Dr. Barone is going to provide some details.

8 **Presentation - Amy Barone**

9 DR. BARONE: Good morning. As Dr. Reaman
10 mentioned, my name is Amy Barone. I'm a medical
11 officer in the Division of Oncology Products II.
12 I'm very excited to be here this morning. I'm just
13 going to give you a brief background on an outline
14 of some of the pediatric legislation initiatives
15 and cancer drug development. I have no financial
16 disclosures.

17 The two pieces of legislation that we'll
18 briefly talk about are the Pediatric Research
19 Equity Act, or PREA, and the Best Pharmaceuticals
20 for Children Act, or BPCA. Both of these acts were
21 permanently authorized by the Federal Research and
22 Innovation Safety Act, or FDASIA.

1 The Pediatric Research Equity Act, or PREA,
2 was passed by Congress in 2003, and it's part of
3 the Food, Drug, and Cosmetic Act. This is a
4 requirement. This requires that companies assess
5 the safety and effectiveness of certain products in
6 pediatric patients.

7 These are typically any products that are
8 being approved, we ask that the study also be
9 studied in children for the same indication that is
10 being approved for in adults. So this authorizes
11 the FDA to require pediatric assessments for a new
12 indication, a new dosage form, a new dosing
13 regimen, new route of administration, or a new
14 active ingredient.

15 Oftentimes in oncology, the products that
16 are being studied in adult patients do not have the
17 same indication in pediatric patients, so for
18 oncology drugs, these are often waived.

19 As Dr. Reaman mentioned, what we're going to
20 talk most about, or our best tool, is the Best
21 Pharmaceuticals for Children Act, which is BPCA.
22 And this is the incentive program that we can use.

1 This is a voluntary program, and it was passed by
2 Congress in 2002 and also is part of the Food,
3 Drug, and Cosmetic Act. This provides a financial
4 incentive to companies to voluntarily conduct
5 pediatric studies under a pediatric written
6 request.

7 There are two ways that a written request
8 can be developed. One is that a sponsor can
9 request that the FDA issue a written request, and
10 the way that this is done is through the submission
11 of a proposed pediatric study request or a PPSR.

12 The PPSR contains rationale for studies and
13 study design, a detailed study design, and
14 appropriate formulations for each age group. If a
15 company submits a PPSR, we will review this and can
16 then issue a written request. And the FDA can also
17 issue a written request without a PPSR.

18 Applicants who submit studies that fulfill a
19 written request are eligible to receive pediatric
20 exclusivity. And what that means is an additional
21 six months of exclusivity attached to all existing
22 marketing exclusivities and patents for the drug

1 moiety, so not just for the pediatric indication
2 but for all moieties of that drug.

3 It doesn't necessarily mean that the study
4 has to be a positive study to be given exclusivity.
5 The goal of this legislation is to gather more
6 information about pediatric patients so that even
7 if the drug is not effective, we feel that that's
8 useful to know for the community, especially when a
9 lot of drugs are used off-label in pediatrics.

10 The written request is our primary
11 regulatory mechanism for obtaining the pediatric
12 data for pediatric cancer therapy and product
13 labeling. OHOP is the Office of Hematology and
14 Oncology Products.

15 We've issued over 50 written requests since
16 the implementation of this law. Nineteen of these
17 therapies were granted exclusivity, and there is
18 new labeling information added for 15 of these
19 therapies. Some of it was safety information, and
20 three of the therapies are approved for pediatric
21 indications.

22 The goal of this, and part of this meeting,

1 is to try to consider what relevant products can be
2 discussed earlier in the developmental timeline and
3 can a written request be part of that discussion to
4 have early and frequent engagement between
5 investigators, industry, and FDA to really
6 prioritize which drugs should be used for
7 investigation to really talk about research
8 strategy and harmonizing goals and increasing
9 efficiency.

10 With that, I think we can move on to our
11 products. Thank you.

12 DR. PAPPO: Before we proceed, I would like
13 to ask Dr. Angiolillo to introduce to herself so we
14 can put that in the record.

15 DR. ANGIOLILLO: Good morning. My name is
16 Anne Angiolillo. I'm pediatric oncologist at
17 Children's National Medical Center in
18 Washington, DC.

19 DR. PAPPO: Thank you.

20 We will now proceed with Session 1, ABT-414
21 from AbbVie. Dr. Tesh will read the conflict of
22 interest statement for this session.

1 **Conflict of Interest Statement**

2 DR. TESH: The Food and Drug Administration
3 is convening today's meeting of the Pediatric
4 Oncology Subcommittee of the Oncologic Drugs
5 Advisory Committee under the authority of the
6 Federal Advisory Committee Act of 1972.

7 With the exception of the industry
8 representative, all members and temporary voting
9 members of the committee are special Government
10 employees or regular Federal employees from other
11 agencies and are subject to Federal conflict of
12 interest laws and regulations.

13 The following information on the status of
14 this committee's compliance with the federal ethics
15 and conflict of interest laws, covered by but not
16 limited to those found in 18 U.S.C. Section 208, is
17 being provided to participants in today's meeting
18 and to the public.

19 FDA has determined that members and
20 temporary voting members of this committee are in
21 compliance with federal ethics and conflict of
22 interest laws. Under 18 U.S.C. Section 208,

1 Congress has authorized FDA to grant waivers to
2 special government employees and regular federal
3 employees who have potential financial conflicts
4 when it is determined that the agency's need for a
5 particular individual's services outweighs his or
6 her potential financial conflict of interest.

7 Related to the discussions of today's
8 meeting, members and temporary voting members of
9 this committee have been screened for potential
10 financial conflicts of interest of their own as
11 well as those imputed to them, including those of
12 their spouses or minor children and, for the
13 purposes of 18 U.S.C. Section 208, their employers.
14 These interests may include investments;
15 consulting; expert witness testimony;
16 contracts/grants/CRADAs; teaching/speaking/writing;
17 patents and royalties; and primary employment.

18 This session's agenda involves information
19 to gauge investigators' interests in exploring
20 potential pediatric development plans for two
21 products in various stages of development for adult
22 cancer indications. The subcommittee will consider

1 and discuss issues concerning diseases to be
2 studied, patient populations to be included, and
3 possible study designs in the development of these
4 products for pediatric use.

5 The discussion will also provide information
6 to the agency pertinent to the formulation of
7 written requests for pediatric studies if
8 appropriate. The product under consideration for
9 this session is ABT-414 sponsored by AbbVie, Inc.
10 This is a particular matters meeting which during
11 specific matters related to AbbVie's product will
12 be discussed.

13 Based on the agenda for today's meeting and
14 all financial interests reported by the committee
15 members and temporary voting members, no conflict
16 of interest waivers have been issued in connection
17 with this meeting.

18 To ensure transparency, we encourage all
19 standing committee members and temporary voting
20 members to disclose any public statements that they
21 have made concerning the product at issue.

22 With respect to FDA's invited industry

1 representative, we would like to disclose that
2 Dr. Phuong Khanh Morrow is participating in this
3 meeting as a non-voting industry representative and
4 acting on behalf of regulated industry.

5 Dr. Morrow's role at this meeting is to represent
6 industry in general and not in any particular
7 company. Dr. Morrow is employed Amgen.

8 We would like to remind members and
9 temporary voting members that if the discussions
10 involve any other products or firms not already on
11 the agenda for which an FDA participant has a
12 special personal or imputed financial interest, the
13 participants need to exclude themselves from such
14 involvement, and their exclusion will be noted for
15 the record.

16 FDA encourages all other participants to
17 advise the committee of any financial relationships
18 that they may have with the firm at issue. Thank
19 you.

20 DR. PAPP0: Thank you, Dr. Tesh.

21 Both the Food and Drug Administration and
22 the public believe in a transparent process for

1 information-gathering and decision-making. To
2 ensure such transparency of the advisory committee
3 meeting, FDA believes that it is important to
4 understand the context of an individual's
5 presentation.

6 For this reason, FDA encourages all
7 participants, including the sponsor's known
8 employee presenters, to advise the committee of any
9 financial relationships that they may have with the
10 firm at issue; that is consulting fees, travel
11 expenses, honoraria, and interest in the sponsor,
12 including equity interest and those based upon the
13 outcome of the meeting.

14 Likewise, the FDA encourages you at the
15 beginning of your presentation to advise the
16 committee if you do not have any such financial
17 relationships. If you choose not to address this
18 issue of financial relationships at the beginning
19 of your presentation, it will not preclude you from
20 speaking.

21 We will now proceed with the sponsor's
22 presentation.

1 **Sponsor Presentation - Kyle Holen**

2 DR. HOLEN: Thank you and good morning. My
3 name is Kyle Holen. I'm a project leader of
4 oncology at AbbVie and a medical oncologist by
5 training. I'm responsible for the development of
6 ABT-414.

7 We're here today to discuss our proposal for
8 the development of ABT-414 in pediatric high-grade
9 gliomas. We appreciate the opportunity to obtain
10 your feedback, and we look forward to learning from
11 you, our advisors, as well as from the FDA.

12 I'll start with a brief review of ABT-414, a
13 first-in-class antibody drug conjugate. We'll
14 discuss the mechanism of action, as well as some of
15 the emerging clinical data in adults with
16 glioblastoma.

17 It was these data that led us to first
18 consider the assessment of ABT-414 for pediatric
19 brain tumors, a condition that is as fatal in
20 children as it is in adults and similarly in
21 dire need of novel therapies. In our review today,
22 you will begin to understand the difficulties of

1 conducting such a study in a rare condition. And
2 therefore, we propose a novel way to approach this
3 challenge, an approach that we believe is the best
4 way to move forward for ABT-414.

5 Let me first describe how ABT-414 works.
6 ABT-414 is an antibody drug conjugate. It's an
7 antibody, also known as ABT-806, bond by covalent,
8 non-cleavable linker to a powerful chemotherapy
9 agent otherwise known as MMAF. This technology
10 empowers an antibody with a warhead that is many
11 times more powerful than standard chemotherapy.

12 The beauty of this technology is that by
13 using the antibody's tumor-specific binding
14 properties, this warhead is delivered to the tumor
15 and most normal tissues are spared. ABT-414 binds
16 preferentially to tumors by targeting a unique
17 epitope on EGFR. This is an epitope that is only
18 exposed when EGFR is amplified or has a V-3
19 mutation, conditions that only occur in cancer and
20 not in normal tissue.

21 Here's a graphic depiction of the mechanism
22 of action. Once ABT-414 binds to a tumor, it is

1 internalized. Lysosomes then release MMAF, thus
2 causing microtubule inhibition and subsequent
3 apoptosis.

4 Let me walk you through some of the
5 preclinical data with early evidence of the potency
6 of ABT-414 from some of the patient-derived
7 xenograft models in both V-3-expressing tumors
8 shown on the left and amplified wild-type tumors
9 shown on the right.

10 In these models, we injected glioblastoma
11 cells from patients into mice, and we treated them
12 with either ABT-414 or a control as indicated by
13 the green arrows. We then monitor the tumor growth
14 in animals. The X-axis records the time in days
15 and the Y-axis depicts the tumor volume.

16 The growth of both tumors increases
17 dramatically when the control is administered as
18 shown in black. However, upon treatment with
19 ABT-414, there is a pronounced and prolonged tumor
20 shrinkage, shrinkage that occurs out to 45 or
21 60 days as indicated by the blue line.

22 Many of these animals were cured with no

1 viable tumor present. It was these and other
2 preclinical data that prompted us to aggressively
3 pursue clinical trials in adult glioblastoma.

4 Here is a review of our clinical trial
5 experience to-date. I'd like to start out with
6 study M13-379. This is our first-in-human study,
7 which enrolled patients with a variety of solid
8 tumors and established our initial safety profile.

9 The next four studies are all studies in
10 glioblastoma, phase 1, phase 2, phase 3, as well as
11 our regional study in Japan. All of these studies
12 were either ongoing or initiated in 2015.

13 But there are two studies of particular importance
14 that I'd like to highlight for you today.

15 Study M14-356 has the most mature data, and
16 I'll walk you through these data on the next few
17 slides. The Intellance 2 study is the study where
18 we plan on including pediatric patients. More
19 about the Intellance 2 study will be discussed
20 later.

21 Let's move on to our phase 1 GBM study
22 design, study M12-356. This study has three arms:

1 Arm A is an arm with radiation temozolomide and
2 ABT-414 combination; Arm B is an arm with
3 temozolomide and ABT-414; and Arm C is ABT-414
4 monotherapy. We have identified the recommended
5 adult phase 2 doses of all these regimens. All
6 arms also have expanded cohorts for a further
7 assessment of safety and efficacy.

8 But because patients in Arm A were newly
9 diagnosed and recently had surgery, changes from
10 baseline measurements were not possible. Only
11 Arms B and C allowed recurrent GBM enrollment where
12 we could see if there was a direct effect on the
13 tumor.

14 Within the first few patients enrolled, we
15 started seeing dramatic changes in tumor size.
16 This waterfall plot examines the changes in tumor
17 size along the Y-axis with an individual patient
18 depicted as a bar long the X-axis.

19 As you can see, more than half of our
20 patients with recurrent disease had some amount of
21 tumor shrinkage and a few had deep and pronounced
22 responses that have lasted a year or more.

1 These responses have been observed for both
2 the combination treatment as shown in gray, as well
3 as for monotherapy patients as shown in blue. Of
4 note, we observed RANO-confirmed responses, which
5 requires at least a 50 percent reduction in tumor
6 size, as denoted by the dash line, only in subjects
7 with tumors that EGFR-amplified.

8 None of the 15 non-amplified patients had a
9 RANO-confirmed response. And because of this, we
10 have limited enrollment of this study and all
11 future studies to only those patients who have
12 tumors that are EGFR-amplified.

13 Now, let me show you an example of one of
14 the most impressive responses on the study to-date.
15 This is a 43-year-old man who was diagnosed with
16 glioblastoma in 2012. He underwent a gross total
17 resection, followed by radiation and temozolomide
18 as per standard of care.

19 Soon after completing adjuvant temozolomide
20 however, his tumor recurred as evidenced by the MRI
21 on the left. He was then enrolled in Arm B of the
22 ABT-414 study, and a 24-week MRI showed a near

1 complete response, and he continued on treatment
2 for more than 12 months.

3 As you would expect, there are toxicities
4 that accompany these responses. This is a listing
5 of the most common adverse events reported in
6 Arms B and C of study M12-356.

7 The majority of these toxicities are common
8 in the disease and from temozolomide treatment.
9 However, there is one clear exception, and this is
10 a unique ocular toxicity that's related to the
11 warhead, MMAF. These events are all manifestations
12 resulting from the formation of microcysts in the
13 cornea, which is called microcystic keratopathy.

14 Next, let me show you what we've learned
15 regarding microcystic keratopathy. The cornea is
16 like fingernails or skin. The cornea will
17 regenerate and grow anew every three to four weeks.
18 The new cornea is formed at first by the limbal
19 stem cells. These cells give rise to cells called
20 transient amplifying cells, which in turn give rise
21 to the more differentiated cells of the cornea.

22 Our hypothesis is that it's the transient

1 amplifying cells that are most effected by ABT-414.
2 When these cells are damaged, they form small
3 deposits or microcysts that develop within the
4 cornea. When you have many of these microcysts, it
5 can cause blurry vision or irritation, and
6 sometimes eye pain.

7 As the new cornea replaces the old cornea,
8 the cysts go away and the vision is restored. This
9 occurs about the time the patients are ready for
10 their next dose of ABT-414, setting off a new cycle
11 of events.

12 As you can see from a picture of one of the
13 patients eyes, there's a small ring of cysts that
14 have formed around the periphery of the cornea
15 after ABT-414 dosing. Now, this toxicity is
16 generally manageable and does not commonly lead to
17 serious sequela, but I'd like to make a few
18 important points.

19 This toxicity is not unique to ABT-414 as it
20 is also observed with other antibody drug
21 conjugates and in some cases with chemotherapy,
22 particularly with high-dose cytarabine. It is

1 often manageable with dose interruptions and
2 reductions. It is dose related and generally
3 reversible. Lastly, we've observed that steroid
4 eye drops administered around the time of the
5 infusion appear to lessen some of these symptoms.

6 I've shown you some of the early promising
7 clinical data that we've observed in adults with
8 high-grade glioma. Now, let's turn our attention
9 to how this is relevant to pediatric patients.

10 As you all know, children diagnosed with
11 high-grade glioma share a similar fate as adults.
12 There are few effective treatment options and a
13 very high morbidity and mortality. Because of
14 this, we thought it was critical to see whether or
15 not ABT-414 could deliver comparable benefits to
16 children as we've observed in adults.

17 But let me walk you through some of the
18 challenges to this proposition. As you are keenly
19 aware, pediatric high-grade glioma is not a common
20 occurrence. As you can see from this slide, it's
21 estimated that there are fewer than 300 cases
22 diagnosed in the U.S. each year.

1 Similar to adults, we expect EGFR
2 amplification to be necessary for tumor response in
3 children. Further, ABT-414 is not without
4 toxicities. In order to maximize the benefit-risk
5 ratio, we believe it's important to limit
6 enrollment to those children with EGFR-amplified
7 tumors as we're doing in adults.

8 Unfortunately, EGFR amplification is quite
9 uncommon in pediatric high-grade gliomas. In fact,
10 pediatric high-grade gliomas are molecularly
11 distinct from adult high-grade gliomas.

12 Here, you can see the overall landscape of
13 genetic alterations in adult GBM. The most common
14 recurrent generic abnormalities are listed on the
15 left, and each colored bar represents one patient
16 with a specific alteration.

17 Collectively, EGFR amplification or mutation
18 occurs in approximately half of the cases. In
19 fact, this happens to be one of the most common
20 genetic alterations in adults. But in sharp
21 contrast, here, you can see the overall landscape
22 of genetic alterations in pediatric brain tumors

1 from DIPGs on the left to high-grade gliomas on the
2 right.

3 I'll point out here that histone H3, CDK and
4 2AB, and P53 are the most common genes affected
5 where you'll see many bars. But in contrast, EGFR,
6 unlike in adults, is amplified or mutated in only a
7 few rare cases. There are only two red bars of
8 EGFR amplification on this plot. However, both of
9 these bars originate from the same patient.

10 Consistent with the previous slide, EGFR
11 amplification is not expected to occur in more than
12 5 percent of pediatric high-grade gliomas.

13 Although the rates of amplification vary by the
14 population assessed and the techniques used, there
15 are two studies that use the same FISH assay that
16 we're using for patient selection. These two
17 studies demonstrated no more than 3 percent of the
18 tissues were EGFR-amplified.

19 Currently, EGFR testing is not common
20 practice because EGFR alterations in children are
21 rare, and the results do not influence clinical
22 decision-making. There are no treatments that

1 require testing, nor is there a clear prognostic or
2 diagnostic relevance. Therefore, very, very few
3 cases of EGFR-amplified pediatric high-grade
4 gliomas are identified.

5 With these challenges in mind, here are some
6 of the trial options that we considered. First, we
7 considered a stand-alone pediatric study. But
8 feedback from multiple pediatric brain tumor
9 cooperative groups has suggested that there's a
10 limited interest in opening up a stand-alone study
11 given the high screen fail rate and the small
12 population of patients at most medical centers.

13 We also considered collecting data from a
14 more formal expanded access program or a
15 compassionate-use program. However, as I
16 mentioned, it would be highly unlikely that we'd be
17 able to identify any eligible patients.

18 We also discussed some type of widespread
19 EGFR screening program. However, high-grade glioma
20 tissue is quite scarce, and there would be a low
21 yield of EGFR amplification positive cases
22 identified.

1 In the feedback from pediatric oncology
2 advisors, we are proposing a pediatric nested
3 study, a study that will be conducted within an
4 ongoing adult study known as Intellance 2. By
5 doing this, we leverage the resources of a
6 preexisting study already open at multiple sites
7 globally.

8 Let me walk you through this proposal. This
9 is our global phase 2 study known as Intellance 2.
10 This study is being run in the United States, as
11 well as 26 other countries in collaboration with
12 the Brain Tumor Group of the European Organization
13 for the Research and Treatment of Cancer, also
14 known as the EORTC.

15 I'll highlight here a few points. First,
16 this is a study for adult recurrent EGFR-amplified
17 GBM. Second, patients are randomized to three
18 different treatment arms, including a temozolomide
19 lomustine control arm. Third, the primary endpoint
20 of this study is overall survival. Clearly, this
21 is not a study that was designed for a pediatric
22 population and thus, we will have to make

1 significant changes that are relevant for a
2 pediatric evaluation.

3 Our pediatric plan is to create a nested
4 study that is an appendix that exists within
5 Intellance 2 that will have objectives,
6 eligibility, and screening procedures that are
7 designed specifically for pediatric evaluation.
8 Within this appendix, we hope to capture any
9 pediatric patient identified at any of our sites
10 that have the adult study open, as well as perhaps
11 at additional major pediatric oncology centers.

12 Further, there are few other important
13 considerations. First, the sample size is going to
14 be much smaller than the adult sample size, and
15 therefore the objectives are going to have to be
16 quite different. As opposed to the adult objective
17 of overall survival, given the small pediatric
18 numbers expected, our objectives for the pediatric
19 patients will focus mainly on safety and PK with
20 descriptive summaries of efficacy variables.

21 As I mentioned, Intellance 2 is a randomized
22 study where some of the patients are randomized to

1 a temozolomide lomustine control. But for the
2 pediatric participants, all of them must be on
3 active treatment.

4 On the next slide, I'll review our plan for
5 pediatric dosing. In order to determine an
6 appropriate pediatric dose, a population
7 pharmacokinetic model was developed using adult PK
8 data from phase 1 studies. Body weight was found
9 to have a significant effect on the PK parameters
10 of ABT-414. Simulations for ABT-414 in pediatric
11 patients were conducted by extrapolating the adult
12 PK model down to lower body weight ranges.

13 As you can see in this figure, a 1-milligram
14 per kilogram dose of ABT-414 is expected to produce
15 a similar exposure across multiple age ranges.
16 Therefore, we propose to use the same dose in
17 children as what we're using in adults, and that is
18 1 milligram per kilogram.

19 This next slide depicts the Intellance 2
20 study schematic. Here, you see the typical flow
21 for an adult patient, from registration to
22 screening procedures, and then randomization,

1 followed by treatment. After treatment is ended,
2 the patients will have a post-treatment visit, as
3 well as ongoing survival follow-up.

4 In contrast, here is the flow we propose for
5 the pediatric patients. First, they have EGFR
6 testing. If a patient is identified to have an
7 EGFR-amplified tumor, he or she will then be
8 consented and then enrolled into the study, and all
9 patients will be assigned to active therapy.

10 Based on the patient's clinical history,
11 investigators will be permitted to add temozolomide
12 as part of their care. Patients will continue to
13 be followed during the course of treatment, as well
14 as during the evaluation and survival periods.

15 I'd like to next focus on our screening
16 methods that we're using in this study. In order
17 to capture every pediatric patient who has
18 EGFR-amplified high-grade glioma, we will encourage
19 widespread molecular profiling for every case
20 diagnosed worldwide.

21 In order to make the screening of patient
22 tissue as attractive to investigators as possible,

1 we're providing two options: First, we have an
2 established relationship with the EORTC, or an
3 existing next-generation sequencing panel referred
4 to as SPECTA will be used to analyze pediatric
5 tissues.

6 SPECTA will not only sequence for common
7 pediatric abnormalities but will also provide
8 information regarding the EGFR copy number. These
9 data will then be relayed back to the
10 investigators, allowing them to learn more about
11 their patient's tumor than just about EGFR
12 amplification.

13 The second option is to accept local EGFR
14 amplification testing. Given the urgency for
15 treatment initiation in these children, we propose
16 to allow enrollment based on the local or SPECTA
17 assays, and then follow this up with confirmation
18 using our EGFR ABT-414 FISH assay. However, we
19 will need to collaborate with the FDA to confirm
20 this proposal.

21 Now, let me walk you through some of the
22 major eligibility criteria for this nested study.

1 Similar to adults, EGFR amplification will be
2 required. But different from adults is that we
3 will include grade 3 gliomas, and of course, the
4 age will extend down to 6 years.

5 We've also eliminated eligibility criteria
6 that may have been a barrier to enrollment for the
7 pediatric population and are including only the
8 eligibility criteria that are needed to ensure
9 patient safety.

10 In summary, we've seen very encouraging
11 activity in adults with GBM, and therefore it's
12 critical to test ABT-414 in children where there's
13 also a very high unmet need.

14 This will not be an easy task and requires
15 some creative thinking to solve these challenges.
16 But with our partners in pediatric oncology, at the
17 EORTC, as well as the FDA, we hope to confront
18 these challenges with a novel approach, a nested
19 study within our ongoing adult study. This
20 provides the opportunity to evaluate ABT-414 in a
21 pediatric population while allowing access to a
22 drug that could hopefully make a significant

1 difference in their lives. If this proves
2 successful, it may also provide a roadmap for
3 future oncology programs that face similar
4 challenges.

5 The ABT-414 team would like to thank the
6 patients and their families who have participated
7 in the ABT-414 studies, as well as the FDA and the
8 members of the advisory committee for the
9 opportunity to share our ideas with you today. We
10 look forward to your questions and discussions.
11 Thank you.

12 **Clarifying Questions from Subcommittee**

13 DR. PAPP0: Thank you very much.

14 We will now take clarifying questions for
15 the sponsor. Please remember to state your name
16 for the record before you speak. And if you can,
17 please direct questions to a specific presenter.
18 We will open the questions.

19 DR. DuBOIS: Steve DuBois. What is the age
20 spectrum for EGFR amplification in the pediatric
21 population? Are they mainly older teens, for
22 example?

1 DR. HOLEN: We believe so, yes, that the
2 rates of EGFR amplification are higher in the
3 adolescents than they are in the really young
4 population.

5 DR. PAPP0: Yes, Dr. Armstrong?

6 DR. ARMSTRONG: You indicated that you
7 expect about 6 patients per year in the United
8 States, but this is a global study. How many do
9 you expect per year -- I don't know what percentage
10 of the trial is in the United States and which
11 percent is out the U.S. How many patients total do
12 you expect per year?

13 DR. HOLEN: Yes. We don't have data outside
14 the EU and countries where the studies are
15 open like Korea, Australia. But we have looked at
16 the data in the EU, and there are multiple sites
17 throughout the European Union that are open. There
18 are about 300 cases diagnosed with high-grade
19 glioma in the EU, about 200 in the U.S.

20 DR. ARMSTRONG: In the adult studies, did
21 you see any interaction with temozolomide in terms
22 of the pharmacokinetics of the investigational

1 drug?

2 DR. HOLEN: We did check the PK parameters
3 with and without temozolomide, and it turns out
4 that temozolomide did not affect the PK of ABT-414.

5 DR. PAPPO: Brenda?

6 DR. WEIGEL: I have two questions. The
7 first, can you clarify the vision for the inclusion
8 of the temozolomide? It's plus/minus. And is it
9 up to the investigator, treating physician's
10 discretion the timing of it, your vision for
11 inclusion?

12 DR. HOLEN: Sure. Our vision is to try and
13 be as inclusive as possible to allow the
14 investigators to make that determination. We're
15 leaving it completely up to the discretion of the
16 investigator. If they believe that temozolomide
17 would also benefit the patient, along with 414,
18 we're allowing them to use at, whichever dose and
19 schedule as their standard practice.

20 DR. WEIGEL: I'm Brenda Weigel. I
21 apologize. I didn't say that at the start of my
22 prior question. Do you have any data in -- you had

1 in the one study, you treated patients in
2 combination with radiation therapy.

3 Do you have any data on the subsequent
4 radiation effects in combination with ABT-414 in
5 any of your patients for radiation recall type of
6 phenomenon or any other secondary effects from
7 radiation because most of these patients will have
8 received radiation?

9 DR. HOLEN: Yes. We have looked at this
10 fairly extensively in our phase 1 study.
11 Interestingly, we were able to achieve a higher
12 dose of ABT-414 in combination with radiation than
13 without the radiation. Then we followed some of
14 these patients for -- one patient has been on for
15 2 years after completing her radiation with ABT-
16 414, and we haven't seen any long-term sequela such
17 as radiation recall in any of these patients.

18 DR. KIERAN: Mark Kieran from the
19 Dana-Farber. A couple of questions. The first is,
20 the concept of amplification versus a new epitope
21 for recognition by the antibody, typically when
22 something is amplified, it's still the normal

1 sequence, which means it would have the same
2 recognition as normal EGFR within the body.

3 I wasn't clear. I tried to understand from
4 the documents what it is about the amplification
5 that makes it recognizable by the drug that also
6 recognizes the V-3 form that would make it
7 different from the native EGFR.

8 DR. HOLEN: The epitope is hidden when EGFR
9 is in a resting state. It's only when EGFR is in
10 an active state where the conformation of the
11 receptor changes, and then it exposes the epitope
12 so that the antibody can bind. We found that EGFR
13 is more commonly in that active state when there is
14 EGFR amplification present.

15 We also believe that there may be more
16 receptor turnover when EGFR amplification is
17 present. As you know, if the receptors are having
18 a heightened turnover, it would bring in more
19 antibody into the cell, also bringing in more
20 toxin, which may lead to enhanced efficacy.

21 DR. KIERAN: So the amplification is really
22 a surrogate for activated molecule, and obviously

1 the V-3 is constitutively activated.

2 When I was looking at some of the adult
3 data, I was trying to separate out what percentage
4 of the adults with amplification, which frequently
5 have the V-3, have a differential response from
6 those that just have amplification.

7 DR. HOLEN: We've looked at that as well,
8 and the numbers are still pretty small. But we
9 have seen responses in patients who are wild-type
10 amplified, as well as patients who are amplified
11 with the V-3 mutation. We believe that V-3 is not
12 necessary for a response, but amplification is.
13 And every patient who has the V-3 mutation is also
14 amplified.

15 DR. KIERAN: Presumably, there will be
16 patients that have constitutively active
17 non-amplified that would also have responded, or
18 you didn't see any?

19 DR. HOLEN: We did not see any -- I'll tell
20 you that the assays that we've used to try and
21 correlate with response have been a PCR looking at
22 mRNA levels. We looked at overexpression with IHC,

1 and we've looked at amplification with our FISH
2 probe. It looks like amplification is really the
3 only one that can accurately predict whether or not
4 someone may respond to treatment.

5 DR. KIERAN: Because there is a small
6 percentage of the -- again, it's a small percentage
7 in all of the cohorts. But for the pediatric group
8 where we do see point mutations in activating EGFR,
9 not the V-3, which is quite rare in pediatrics, but
10 not amplification. And I just wondered if that
11 group would also be appropriate, but I think you've
12 answered that.

13 Is it okay to ask another -- you had shown
14 the results from the M12-356, looking at the
15 differential outcome in the adults of Arm 2 versus
16 Arm 3, and it didn't really look like those that
17 got temozolomide did any better than those who just
18 got the drug.

19 I wondered, given that it complicates the
20 analysis of determining or at least crediting what
21 the response is due to by those two different
22 groups, therefore, is temozolomide really an

1 important component for this?

2 DR. HOLEN: That's an excellent question.
3 We have the same question ourselves about whether
4 or not temozolomide is an important component.
5 Because we have that question, that's how we've
6 designed our next study as a randomized study of
7 the temozolomide with 414 versus just 414 alone.

8 The results are similar as you pointed out
9 on this waterfall plot. We've had more data that
10 has accumulated since the time of this waterfall
11 plot that we presented at ASCO this past June.
12 There may be a slight preferential response rate in
13 the combination over the monotherapy. However, the
14 PFS-6 remains similar in both arms.

15 At this point, we really don't know which
16 one may be better, and we'll have to wait and see
17 what our randomized data show.

18 DR. KIERAN: Would you correlate that with
19 MGMT expressions since I think for many places,
20 both in the adult and pediatric world now, the only
21 patients that get MGMT are those that are -- or
22 that get temozolomide are those that are

1 non-MGMT-expressing, those that do not have the
2 promoter methylated.

3 But of course, then that's also predictor of
4 response, which means you may be selecting the
5 patients that are likely to respond to
6 temozolomide, at which point then your drug would
7 also be getting credit for some of that.

8 DR. HOLEN: We have looked at that, and it
9 turns out that the patients who had combination
10 treatment who responded were patients who had
11 recently recurred either during their adjuvant
12 temozolomide therapy or within weeks after
13 completing their adjuvant temozolomide therapy.
14 That correlated also with their MGMT status where
15 they were unlikely to have responded to
16 temozolomide alone.

17 Now, there was one exception of a patient
18 who responded to treatment and was likely to have
19 responded to temozolomide, and we believe that to
20 be true because of his methylation status. But he
21 wasn't a confirmed responder.

22 RANO requires two scans to make sure that

1 the 50 percent reduction in tumor size is
2 maintained, and this patient, although had a
3 50 percent reduction on the first scan, rapidly
4 progressed right after the first scan.

5 So he wasn't a confirmed responder, and we
6 think that might have been one example of a
7 temozolomide response. That patient was also not
8 amplified, so it wasn't on the waterfall plot.

9 DR. REAMAN: Can you just clarify -- the
10 Intellance 2 study is the one in which you want to
11 embed the pediatric study. The enrollment or the
12 eligibility, are these newly diagnosed patients,
13 recurrent patients, the adults?

14 I just question the randomization to the
15 temozolomide lomustine-only arm if these are
16 recurrent patients, all of whom have likely
17 received temozolomide. I'm just not clear who the
18 patient population is that's being studied here.

19 DR. HOLEN: Intellance 2, that study is a
20 second-line recurrent population only. None of
21 those patients receive radiation. They only
22 receive ABT-414 or they receive ABT-414 with

1 temozolomide.

2 Lastly, the control arm is either lomustine
3 or a temozolomide re-challenge because we have
4 observed that some patients with recurrent disease,
5 if it's been a long time since their last exposure
6 to temozolomide and they happen to be MGMT
7 methylated, that some investigators will decide to
8 treat them with a temozolomide re-challenge. So
9 the control arm is either a temozolomide
10 re-challenge or lomustine.

11 DR. MORROW: PK Morrow. Amgen. I really
12 liked slide 12, and I wanted you to perhaps give a
13 little clarity that I noted in the briefing book
14 related to your response rate, particularly
15 complete response rates in arms that contained
16 ABT-414.

17 DR. HOLEN: Sure. Just to clarify, you want
18 me just to run through this again real quick?

19 DR. MORROW: The complete response.

20 DR. HOLEN: The complete response, yes. We
21 did see patients with a complete response, not only
22 the patients on the combination arm but also in the

1 monotherapy arm, which was really good to see.
2 Complete response is something that's typically not
3 reported in recurrent adult GBM.

4 If you look through the literature of
5 treatment with bevacizumab, it's hardly ever
6 reported with complete response, so we were really
7 excited about these complete responses. These
8 responses happen to be very durable as well.

9 Unlike the example that I showed you, I
10 discussed with the temozolomide responder who
11 progressed on the very next scan, these responders
12 were RANO-confirmed; multiple scans continued to
13 show that they had continued tumor shrinkage.

14 We have one patient now that's been on 414
15 with recurrent disease for over two years; so a
16 very, very long period of time for a patient who
17 was told by his doctor that he might only live 4 to
18 6 months at the time of recurrence.

19 So really great stories and stories that
20 we'd hopefully like to see in the pediatric
21 population as well.

22 DR. PAPPO: Elizabeth?

1 DR. RAETZ: Elizabeth Raetz, University of
2 Utah. Just in terms of the testing, would you
3 envision that the testing for EGFR would be done on
4 diagnostic tissue or is there a requirement for
5 testing at the time of recurrence and progression?

6 DR. HOLEN: A diagnostic tissue would be
7 fine. In fact, we've done a study where we've
8 looked at paired biopsies, tissue taken at the time
9 of surgery compared to tissue that was done upon
10 re-resection.

11 In turns out that amplification was
12 consistent across that period of time, so it
13 doesn't require fresh tissue at the time of
14 enrollment in order to understand whether a patient
15 may be amplified.

16 DR. DuBOIS: Steve DuBois. If the
17 confirmatory test done centrally does not confirm
18 the presence of amplification, what would be the
19 status of the patient that you would propose in
20 that situation?

21 DR. HOLEN: We have talked a little bit
22 about that, the discordant results, and what we've

1 decided might be the best option -- but I'd like to
2 hear from you all about this, too -- is that we
3 would relay that information back to the
4 investigator so the investigator is aware.

5 Then he or she can then talk to the family
6 and the patient and make a determination whether or
7 not they would like the patient to continue in the
8 study or whether they want to look for something
9 else. I think part of that will depend on whether
10 or not the patient has been dosed or whether the
11 patient may be benefiting from drug, what some of
12 the toxicities may be.

13 We'll allow patients to stay on, but allow
14 the investigator to make a determination of whether
15 they would want to take the patient off study.

16 MS. WEINER: I'm Susan Weiner, patient
17 advocate. First of all, I'd like to thank you for
18 trying to think through a plan for pediatrics in a
19 very difficult disease under difficult scientific
20 circumstances as well. But of course, as the
21 patient advocate, we're concerned about the
22 toxicities and what that may mean if the drug is

1 successful and would be brought forward to phase 2,
2 presumably not phase 3 testing.

3 The drug apparently attacks dividing
4 cells; is that correct?

5 DR. HOLEN: Yes, the drug -- the warhead
6 attacks dividing cells. However, the warhead is
7 targeted to cells that have that epitope that's
8 exposed on the receptor.

9 The warhead is like standard chemotherapy,
10 but because the warhead is attached to the
11 antibody, the antibody takes the chemo only to
12 cells that would have the ability to bring that
13 warhead into the cell.

14 MS. WEINER: Is the implication that
15 developing brain cells, a healthy brain tissue, or
16 even healthy stem cells would not be affected?

17 DR. HOLEN: Correct. We have not observed
18 any EGFR expression on cells in the bone marrow, or
19 stem cells, or normal brain tissue cells. Those
20 cells should be spared.

21 Now, we do have tissue in our body that does
22 express EGFR. Your skin, as an example, has EGFR

1 expression. There are EGFR antibodies, as we all
2 know, that can cause pretty severe skin rashes.
3 But the EGFR in the skin, that EGFR is in a normal
4 quiescent state and not typically activated. And
5 because of that, our antibody doesn't bind to that
6 normal EGFR on skin.

7 So we haven't seen skin rashes with this
8 antibody drug conjugate. It's been extraordinarily
9 rare where we'll see a rash. Certainly, when we do
10 see a rash, it's not the type of rash that you
11 expect with an EGFR drug. It's not the acneiform
12 rash that happens over your face and your chest.

13 We believe that the antibody is quite unique
14 in that regard and hopefully then avoids some of
15 those normal tissue toxicities.

16 MS. WEINER: My second toxicity question
17 really, of course, has to do with the ocular
18 toxicity and how that will affect scheduling if the
19 corneal cells regenerate every 3 to 4 weeks as you
20 said. First of all, is that true for kids, and
21 second of all, how would that likely affect the
22 scheduling for the pediatric patients?

1 DR. HOLEN: Great questions. We don't
2 really know about children, how that would affect
3 the cornea. We do know that the cornea is still
4 developing in the real young population, from zero
5 to 3, and there are neuronal pathways that are
6 developed even after the age of 3 between the eye
7 and the brain, and those are set down at a pretty
8 early age.

9 We think at the age of 6 is about when we
10 think that likely we wouldn't have to worry about
11 the developing cornea. That's why we've set an age
12 range from 6 to 18 for this study to try and avoid
13 some of those toxicities that may occur in the
14 developing cornea.

15 MS. WEINER: For the patient who's been on
16 it for two years, how has that worked, the cycles
17 and the regeneration work for that patient, and the
18 visual function?

19 DR. HOLEN: Again, great questions. She's
20 doing great, but she is annoyed at this constant
21 eye problem. I have to admit that I'd prefer to
22 have a drug that didn't have eye problems. She

1 deals with it. She figures out a way to manage her
2 life, where she can have these eye problems and
3 still do the things that she needs to do every day.

4 Now, her husband has to take her places and
5 drive for her. She's got to read things much
6 closer than she used to. And it's cycles, so she's
7 gotten used to the cycles. She knows that her
8 vision is going to be worse and the irritation is
9 going to be worse at about day 7 or 10. And then
10 it's going to get better before her next cycle and
11 be good until that 7 or 10-day afterwards.

12 So it's challenging, yes, but it's something
13 that our patients have learned to live with and can
14 manage.

15 In terms of your question about dosing, our
16 dose in adults is once every two weeks. That seems
17 to be a reasonable dose for patients to tolerate.
18 We don't plan on changing the schedule in children
19 because that was also the schedule that showed
20 efficacy. And once we start changing the schedule,
21 we're worried that maybe we won't see the same
22 efficacy in children that we would see in adults.

1 At this point, our plan is to use the same
2 dose and schedule to achieve the same exposures
3 that we're seeing in adults that we'll hopefully
4 see in kids so that they have the highest chances
5 of getting some benefit.

6 MS. WEINER: My final comment is that I hope
7 that you have families review your consent forms
8 before you give them to parents.

9 DR. HOLEN: That's a great idea, and we will
10 certainly do so. Thank you.

11 DR. WEIGEL: Brenda Weigel. Following up on
12 the toxicity questions that were just asked, you
13 alluded to the use of dexamethasone eye drops. Is
14 there a required regimen and do you have data -- I
15 would imagine that really as a symptom-management
16 decreasing inflammation. It doesn't change the
17 cyst formation. It doesn't change the turnover
18 time of the cell regeneration, but maybe it does,
19 so if you could address that.

20 Is that now a required element, and has that
21 changed what you're seeing with regards to ocular
22 toxicity?

1 DR. HOLEN: Yes. Early on in the program
2 when we started noticing these ocular symptoms, I
3 quickly went to the literature and tried to find
4 out everything I possibly could about the cornea
5 and became an armchair ophthalmologist.

6 I found some literature about high-dose
7 cytarabine, and this is where this all started.
8 High-dose cytarabine causes almost the exact little
9 microcysts in the eye. And that's where I found
10 out that with high-dose cytarabine, you give
11 steroids pre- and post. I remember doing that back
12 in fellowship a long time ago, but I happen to not
13 be more of a medical oncologist and hematologist,
14 so I don't have a lot of experience with high-dose
15 cytarabine.

16 But based on that experience, we tried it in
17 our patients, and we were able to continue to
18 escalate ABT-414. In fact, there were a couple of
19 patients who received a dose without the steroid
20 eye drops and then received the same dose with the
21 eye drops, and we saw a decrease in their toxicity,
22 the severity of their eye symptoms.

1 Once we started seeing this, we made it
2 mandatory that every patient have the steroid eye
3 drops before treatment administration. If a
4 patient comes in and they haven't had their two
5 days of eye drops before they get their dose, we
6 ask them -- to send them home, start their eye
7 drops, and then come back in a couple of days to
8 get their 414 infusion.

9 The way we think this works -- and this is
10 all speculation. But from what I've read about the
11 way it works in cytarabine and what some of the
12 expert ophthalmologists -- in fact, there's an
13 expert that we call on frequently who happens to be
14 an expert in oncologic manifestations of the eye,
15 in particular the cornea. Any type of oncologic
16 manifestations that happen in the cornea, she's an
17 expert. She's the first person we called.

18 She told us that what she thinks is
19 happening is these transient amplifying cells have
20 a very high turnover rate, and there are some sort
21 of nonspecific uptake into these cells that's
22 causing them to become necrotic. But the steroid

1 eye drops reduces that turnover rate so that the
2 transient amplifying cells are not quite as
3 susceptible to that warhead.

4 So we think that we're preventing some of
5 the microcysts from forming but not all of them.
6 And that's why the symptoms -- although they're
7 still there, they're not as severe as when we give
8 the steroid eye drops.

9 DR. WEIGEL: You mentioned you give it for
10 two days following it. Do you continue it
11 after --

12 DR. HOLEN: Yes.

13 DR. WEIGEL: -- and does that make a
14 difference, and for how long do you need to
15 continue it?

16 DR. HOLEN: Our current schedule is to start
17 2 days before the infusion of ABT-414 and continue
18 for a total of 7 days; so 2 days before and 5 days
19 after, that first or the fifth day is the day of
20 the infusion.

21 DR. REAMAN: Actually, you addressed many of
22 the questions I was going to have about the

1 toxicity. The ocular toxicity you assume is
2 associated with the midostaurin, not with the
3 antibody, correct? I know it's both because it's
4 bound and is taken up by these corneal cells that
5 are transiently amplified. But is the same kind of
6 toxicity seen with this agent alone?

7 DR. HOLEN: Yes. We do believe it's the
8 auristatin that is the main component of this
9 toxicity. There is EGFR expression on these
10 transient amplifying cells. However, there are a
11 few other agents, antibody drug conjugates, that
12 use this same toxin, monomethyl auristatin F.
13 Those have almost exactly the same ocular
14 toxicities that we've observed, and those
15 antibodies have absolutely no target to identify on
16 these transient amplifying cells.

17 Specifically what I'm talking about is a
18 drug that's being developed by Seattle Genetics,
19 and they published on their drug, SGN-75. SGN-75
20 is another monomethyl auristatin F conjugate, which
21 has the ocular toxicities.

22 They looked backwards and forwards all

1 throughout the eye for CD70 expression, and they
2 couldn't find it anywhere in the eye. We really
3 believe this is more of a nonspecific uptake of the
4 antibody drug conjugate, and then the MMAF is what
5 causes this problem.

6 Now, interestingly, the linker toxin is not
7 cell membrane permeable. The only way it can get
8 into the cell is when it's bound to the antibody
9 and the antibody is internalized.

10 So just giving the monomethyl auristatin F
11 IV to patients doesn't do anything, not to the
12 tumor, not to the bone marrow, nothing else because
13 it's not cell membrane permeable. There's some
14 degree of cell membrane permeability but not in
15 significant quantities to cause side effects.

16 DR. REAMAN: Thanks. I have some follow-up
17 questions about the testing. Can you just comment
18 on your FISH test and its availability and
19 correlation between that and what institutions
20 might do with IHC testing for EGFR amplification?

21 DR. HOLEN: Sure. As I mentioned, we have
22 performed IHC in our studies, and protein

1 expression did not seem to correlate with response.
2 So that's what led us to the FISH test. We have an
3 open IDE for our FISH assay, so that allows us to
4 use it for screening purposes and enrollment into
5 our studies. It's available worldwide because
6 we're using it for our global studies.

7 We have samples being shipped to three
8 different sites around the world in order to
9 perform that FISH assay to determine whether or not
10 a patient is amplified or not amplified. And we
11 plan on using this as a companion diagnostic for
12 potential submission along with ABT-414 should we
13 be in it.

14 DR. REAMAN: Okay. Thanks. So the
15 requirement then would be for this to have a
16 centralized testing?

17 DR. HOLEN: For the pediatric patients, we
18 don't want pediatric oncologists to use tissue
19 solely for the purposes of understanding whether
20 their patient is EGFR-amplified because it's so
21 rare. Only 3 percent are amplified.

22 We think a better use of the tissue is to

1 send it out for next-generation sequencing so that
2 you can get tons of information on that tissue.
3 For that, that's why we set up that SPECTA test,
4 where any pediatric oncologist anywhere in the
5 world can talk to their patient and their families
6 and say, hey, we have this SPECTA test that we can
7 do for free; would you be interested in sending it
8 out?

9 If so, they can have the next-generation
10 sequencing performed. They can find out lots of
11 information about the tumor. And if by chance the
12 patient happens to be amplified, then they can
13 start the process of thinking about whether the
14 study with 414 might make sense for that patient.

15 DR. REAMAN: Can you just elaborate again
16 where is the test being done?

17 DR. HOLEN: The SPECTA test?

18 DR. REAMAN: The SPECTA, yes.

19 DR. HOLEN: That's a good question.

20 DR. REAMAN: Outside the U.S., is that
21 right?

22 DR. HOLEN: Currently, it's being done

1 outside the U.S.

2 DR. REAMAN: And are there problems with the
3 shipment of specimens internationally and then
4 getting results back? How does that work?

5 DR. HOLEN: Good question.

6 DR. REAMAN: Is it something that you're
7 requiring for your adult study, and are those
8 logistics worked out? Because I would foresee some
9 potential problems here.

10 DR. HOLEN: We've worked this out for our
11 adult study, and it hasn't been an issue. I don't
12 think it'll be an issue for the pediatric
13 population.

14 The only places we're sending specimens
15 across international boundaries that's been a big
16 issue for us is China and Brazil. And currently,
17 those countries aren't participating in this
18 Intellance 2 study where we'd have pediatric
19 patients enrolled.

20 So I think we'll be okay. But maybe
21 Dr. Ansell, did you want to say a little bit more
22 about the SPECTA perhaps? Pete Ansell here from

1 our biomarkers group.

2 DR. ANSELL: Hello. Pete Ansell AbbVie,
3 biomarkers. In terms of the logistics, as
4 Dr. Holen alluded to, we do have three testing labs
5 right across the world for adult studies: one in
6 Australia, one in Belgium, and one in the United
7 States; although we have sites from many different
8 other countries.

9 They all ship to those respective testing
10 labs. Customs and shipping delays and things like
11 that have been ironed out, so we don't anticipate
12 any issues like that.

13 In terms of your other questions regarding
14 concordance between our FISH assay and
15 next-generation sequencing, we have done some
16 comparisons there, so we do not expect a high
17 discordant rate.

18 DR. KIERAN: Mark Kieran, Dana-Farber, a
19 couple of questions. Is the development of the eye
20 toxicity a potential biomarker itself in terms of
21 who responds?

22 DR. HOLEN: We have looked at that, and

1 because the eye toxicity is so common, about
2 80 percent or so of patients have some degree of
3 eye toxicity, we haven't been able to assess that.
4 It is the case that all of our responding patients
5 have had eye toxicity. But I think until we have
6 more numbers and given the high rate of the eye
7 toxicity, it's impossible for us to say right now.

8 DR. KIERAN: You didn't talk a lot about the
9 blood-brain barrier penetration of the drug, and
10 one would wonder how a big antibody gets across in
11 the first place. The radiographic response, for
12 example, you showed us look like a patient who had
13 a tumor that went all the way out to the meninges
14 and along the surface, so it may not have been as
15 much of a blood-brain barrier issue. I suspect
16 you've got some data that way?

17 DR. HOLEN: We do, yes. The first thing
18 that we did was we looked at an orthotopic model to
19 see whether or not we would have efficacy in an
20 orthotopic model and look at binding to the tumor
21 in the orthotopic mouse. And those studies showed
22 that there was binding to the tumor as well as a

1 tumor shrinkage.

2 But we also have another program where we
3 bind the antibody to indium. We label it with
4 indium, and then we administer the antibody
5 indium-labeled product to patients, and we
6 performed spec scans, similar to bone scans.

7 In those studies, in patients with brain
8 tumors, we see fairly intense uptake of the
9 antibody with the indium labeling on the scans for
10 patients with glioblastoma and almost every patient
11 with GBM.

12 Now, I think the bigger question is, is that
13 antibody truly crossing the blood-brain barrier or
14 is it just that the blood-brain barrier is
15 completely broken down because of the brain tumor?
16 That's a question that we really don't know.

17 We are trying to explore this further by
18 looking into whether or not it might make sense to
19 administer a dose of drug prior to surgery, and
20 then after surgery seeing what the binding
21 properties may look like along the tumor. It's
22 something that we're exploring.

1 DR. KIERAN: I notice in some of the
2 preclinical stuff, it wasn't clear whether the
3 tumors that you're using are enhancing tumors,
4 which presumably do break down the blood-brain
5 barrier. And obviously much of the problem in
6 malignant glioma isn't so much the large enhancing
7 nodule that the surgeon can often cut out; it's the
8 infiltrative, non-enhancing kind of flared T2
9 signal that results in death. And the question
10 was, is the drug getting to those places?

11 DR. HOLEN: We share a similar concern. It
12 may be that we're affecting the enhancing areas.
13 But oftentimes, that's a major component of a
14 patient's tumor. And if you can at least get that
15 to go away, that's one step in the process.

16 But I think that we might be also getting to
17 some of the non-enhancing areas as well. And the
18 reason why I say that is because if we look at our
19 complete responders, patients who have had complete
20 responses for -- one patient for over a
21 year -- they had enhancing and non-enhancing areas
22 in their MRI. And we believe that those both went

1 away with a complete response to drugs.

2 I speculate that maybe we're getting to both
3 areas of the brain tumors, but we have not done
4 formal studies where we, like I mentioned, take
5 tumors out after a dose, and then look at binding
6 across the entirety of the tumor to see how much
7 binding there is across the tumor.

8 DR. KIERAN: Yes, because it's hard to
9 understand how a big drug gets across a
10 non-enhancing, intact blood-brain barrier.

11 I just had two other questions. One of them
12 in figure 2 of the proposal -- or the graph, not
13 figure 2, what you showed -- it was interesting
14 that you actually had dramatic responses for the
15 control antibody as well.

16 DR. HOLEN: That's true, and I can bring
17 that up for people that don't have it handy. I
18 think this is from the briefing book.

19 Yes, what we've noticed is that when you
20 take the -- some of these models have some
21 nonspecific uptake of just routine antibodies
22 without targeting. That was the case for this

1 model. This is a U-87 model. U-87 models will
2 internalize EGFR quite rapidly, but they'll also
3 internalize other antibodies that aren't targeted
4 necessarily to a receptor on the surface of the
5 cell.

6 That's why we saw some degree of efficacy,
7 and you can see that in the open circles with the
8 red dash marks. You can see some degree of
9 efficacy even with the control antibody. However,
10 that was a pretty hefty dose of 10 mg per kg.

11 DR. KIERAN: The half-life of the drug you
12 reported was, I think, about 8 days. I know you're
13 going to redo the PKs and stuff in pediatrics
14 because there is some evidence that they may have a
15 slightly higher turnover rate of proteins,
16 particularly in some of the younger age groups, at
17 least based on some of the other antibody studies.
18 But it will raise some questions about exactly
19 whether the dose per kilo may be the same, but the
20 duration of the timing of therapy may change as you
21 do some of those studies just based on the fact
22 that you've already got half-life.

1 There was no indication of whether you get
2 accumulation of the drug?

3 DR. HOLEN: There is some accumulation of
4 the drug. Because of the long half-life and
5 because we're dosing every two weeks, that there is
6 some drug accumulation. And we expect to see this
7 also in children hopefully. As you mentioned, I
8 think it'll be critically important to assess all
9 the PK parameters in children, and then on an
10 ongoing basis reassess are we at the right dose
11 based on PK.

12 We're doing this based on modeling
13 experiments, and sometimes you don't know if the
14 model is going to accurately predict what your
15 exposures are going to be in children. We'll be
16 doing real-time PK in these patients who are
17 enrolled so that we can better understand the PK
18 parameters that are specific to the pediatric
19 population.

20 DR. ANGIOLILLO: Anne Angiolillo. Thank you
21 for your very fine presentation. I had four areas
22 of thoughts. One you just addressed was the PK,

1 the clearance, because I imagine it would be
2 different in pediatrics than adults. So you've
3 already addressed the first concern.

4 Second, you mentioned this EGFR
5 amplification is very, very rare in this tumor type
6 in pediatrics. I was wondering if the team was
7 looking at a whole panel or host of pediatric
8 cancers, if you could comment, maybe you can't.

9 Next, I was wondering if you can comment on
10 the convulsion rate. Next, I had concerns about
11 vision. I think a journey for pediatric patients
12 is different than adults with cancer, and then
13 informing that child there will be a period where
14 their vision is altered might make this extra
15 scary.

16 Lastly, you mentioned this would be nested
17 study. You didn't specifically say it had to be
18 done where the adult study is open. But is that
19 mandatory? Because I could also see issues having
20 the pediatric patient cared for by an adult
21 provider. They're very different. Thank you.

22 DR. HOLEN: Let me make sure I answer all

1 those. The first question, I think, was about
2 other pediatric tumors. We've looked across a
3 variety of different pediatric tumors, and EGFR
4 amplification is rare in all pediatric tumors.

5 For this assessment, we thought it would be
6 good to start to focus in on the pediatric
7 high-grade gliomas and see what we can find out.
8 But there's no reason why we couldn't study other
9 pediatric tumors if we find that maybe there is
10 other tumors with some degree of EGFR
11 amplification.

12 The second question was about convulsions.
13 I do have a slide here about the common adverse
14 events. We were concerned about some of these
15 adverse events -- headache, hemiparesis, aphasia,
16 convulsions -- and what we did was we looked at
17 these side effects compared to our solid tumor
18 study, where patients did not have brain tumors, to
19 see whether or not this was unique to our brain
20 tumor studies or whether we'd see this across both
21 studies.

22 As you can see here on this slide, in our

1 solid tumor studies where patients did not have
2 brain tumors, we did not see the same degree of
3 headache, or fatigue, or seizures, insomnia. Those
4 all seem to be pretty unique to the patients with
5 brain tumors.

6 In fact, we've talked to our investigators
7 who are participating in the study, and I've asked
8 them, are these rates unusual, or is this something
9 you'd expect in the population? And they said,
10 yes, this is something that you just see in
11 patients who've had surgery and growing brain
12 tumors.

13 The next question, I think, you had was
14 about the vision. We're concerned as well about
15 the vision and the visual impacts in children. I
16 think that's a call that needs to be made not by us
17 in industry; by the parents and the children and
18 the investigators who are seeing these kids with
19 brain tumors and decide.

20 This is a risk-benefit ratio. If these kids
21 have visual problems, but they also have growing
22 brain tumors, what choice do we make? I think

1 that's a difficult choice that maybe we as a
2 sponsor aren't at liberty to make. I think that
3 decision has to rest in the arms of the parents and
4 the children. They need to take that risk and
5 assess the risk-benefit and what makes sense for
6 them. That's how we feel about that.

7 Lastly, nested study in adults. The
8 Intellance 2 study is open at most of our sites.
9 We have 85 sites globally. We've enrolled some
10 patients. We have about 30 patients in that study
11 already, and we hope to have the study complete by
12 the end of next year, maybe mid-2017.

13 What we've done is we've set up a completely
14 separate database for the children. We had to do
15 that because they had to be outside of the
16 randomization process because we didn't want them
17 randomized to a control if there's only a few
18 patients.

19 So we set up this separate database mainly
20 because we didn't want them randomized. But it
21 turns out that that's a good thing for us because
22 we can close the adult database, and analyze the

1 data, and understand how it works in adults, but
2 keep the pediatric database open as long as we need
3 to, to make sure we have that assessment of PK and
4 safety in children.

5 The two databases end up being a good thing,
6 not only to prevent the randomization but to allow
7 us to keep the study open as long as we need to.

8 I think that was your question, right?

9 DR. ANGIOLILLO: Part of it. It was also,
10 these pediatric patients, are they cared for, the
11 adults with the experience, or do you envision it's
12 in the hands of a pediatric oncologist who doesn't
13 have any experience with this?

14 DR. HOLEN: Yes, I can't see the adult
15 neuro-oncologist treating the children. What we've
16 asked our investigators that have the adult study
17 open is to talk to their colleagues in pediatric
18 oncology and ask them if they'd be willing to be
19 sub-investigators so that they can participate
20 fully in the study and enroll their patients as
21 investigators into the study. I think that's what
22 will work out best.

1 We also hope -- although we've been told
2 that some centers wouldn't be interested in
3 this -- but we'd hope that major oncology centers
4 like St. Jude's or NCI would be interested in maybe
5 opening up the study just at their institution even
6 though they wouldn't see adult patients. That way
7 we'd be able to capture not only the sites that
8 have the adult study open but a few other sites
9 that may have a really high volume of cases.

10 So if anyone is interested here, let us
11 know. We're looking for sites.

12 DR. KIM: A couple of few questions on
13 the -- I'm sorry, AeRang Kim from Children's
14 National in DC -- on the screening test for EGFR.
15 For the sequencing platform, can you comment
16 briefly a little bit on the turnaround time of the
17 results that you've seen in the adult population?

18 Also, you commented that the information on
19 other sequencing will also be provided for the
20 children. Would this be a separate consent form
21 process or would they have to enroll in the trial
22 in order to receive this information?

1 DR. HOLEN: The turnaround time for the FISH
2 assay is pretty quick, about 5 to 7 business days.
3 We believe the turnaround time for the SPECTA assay
4 is about two weeks.

5 In terms of consent, we had
6 envisioned -- and I'd like to get comments from the
7 advisors if possible. But we envisioned that any
8 oncologist, pediatric oncologist anywhere, could
9 talk to their patients about doing some
10 next-generation sequencing on their tumor. And we
11 would offer this as a free service where you could
12 get the next-generation sequencing done.

13 Therefore, the consent process would be
14 between the pediatric oncologist and the patient
15 and the patient's family about trying to learn more
16 about their tumor and do the next-generation
17 sequencing.

18 They wouldn't necessarily have to have the
19 study open to send out tissue for next-generation
20 sequencing. They could just do that as part of
21 standard of care. Oftentimes, a lot of
22 oncologists, pediatric oncologists, medical

1 oncologists, a lot of hospitals are just doing
2 next-gen sequencing on their tumors at baseline.

3 So we're offering this more as a service,
4 where if you aren't doing routine next-generation
5 sequencing in all your tumors, that you'd have a
6 place to send it. But you wouldn't necessarily
7 have to consent for an ABT-414 study to enable
8 tissue to be sent for next-generation sequencing.

9 Then the information would come back to the
10 pediatric oncologist, and then she would look at
11 the data, and then she would see whether or not the
12 patient is amplified. And that's when the process
13 of consenting for the ABT-414 study would occur.

14 DR. KIM: This would be done at no cost to
15 the center?

16 DR. HOLEN: At no cost. We have a
17 relationship with the people who are creating the
18 assay called 14MG, where we would have a contract
19 with them to pay for any samples that are sent to
20 them. They bill us for that. But the results
21 would not come to us, and that's why we wouldn't
22 necessarily have to have an AbbVie consent. The

1 results would remain between the testing center and
2 the pediatric oncologist.

3 DR. REAMAN: A couple more logistical
4 questions. The eligibility criteria, patients over
5 the age of 6 are reason for why children under the
6 age of 6 are excluded. The DIPG patients, would
7 they have to have been biopsied or resected and
8 proven to have high-grade glioma and EGFR
9 amplification?

10 DR. HOLEN: We have considered that
11 population, zero to 6. As we talked about a little
12 bit before, we're concerned about the safety in
13 that population because of the developing cornea,
14 because of what ABT-414 might be doing to a
15 developing cornea. We just don't have that
16 information. We thought that the population
17 between 6 and 18 would be the best place to start.

18 The other question you had was about DIPGs.
19 DIPGs, we still believe that EGFR amplification is
20 necessary for benefit. There's no way, at this
21 point, that we can determine EGFR amplification
22 without some tissue. Although it's not common

1 practice in DIPGs, we thought we'd allow them
2 enrollment in the study just in case there is
3 tissue available and they're able to assess whether
4 or not the patient is EGFR-amplified.

5 DR. REAMAN: I think it is probably a little
6 bit more common practice now than it used to be,
7 but I just want to make sure that it would just be
8 the EGFR-amplified tumor, that those patients would
9 be eligible for enrollment.

10 DR. HOLEN: Yes, only the EGFR-amplified
11 patients.

12 DR. REAMAN: Then going back to the sort of
13 logistical issue about opening the study at
14 multiple sites, I think the concern was that your
15 initial plan was to open the embedded pediatric
16 study primarily at those sites where you have the
17 study open for adult enrollment.

18 Many institutions have separate pediatric
19 oncology and medical oncology programs. They're at
20 separate institutions, a free-standing children's
21 hospital that may be academically affiliated with a
22 university medical center.

1 Is your concern about opening this at
2 multiple places because of IRB issues? I'm sure
3 you want to do this as easily as possible, but I
4 think you really have to look at -- particularly
5 given the low incidence of the EGFR amplification
6 in these tumors -- to really spreading as wide a
7 net as possible to enroll patients.

8 DR. HOLEN: Yes. We have looked into all
9 these logistics, and we'd be happy to open the
10 study at specialized pediatric oncology centers.
11 But we have talked with two cooperative groups, the
12 PBTC here in the U.S., as well as the ITCC in the
13 EU. And what they've told us is many centers may
14 not want to participate in this study because odds
15 are that they would screen 20 patients and never
16 identify a single patient who would be able to
17 participate in the study.

18 There's a lot of work to open up a study, to
19 go through the scientific review process, to go
20 through the IRB process, to get your budgets and
21 get your contracts all in place. And then to
22 maintain the study open, you have to process all

1 the adverse events. I mean, you guys better than I
2 know what it's like.

3 They said there probably wouldn't be much
4 interest in opening a stand-alone study given the
5 rarity of the disease, and that's what led us to
6 this nested study. Now, if there is interest, as I
7 mentioned, we're happy to get them engaged.

8 In our discussions with the PBTC and the
9 ITCC, they told us that this has been done before
10 through the EORTC. They've worked with the EORTC,
11 and they've been able to coordinate the
12 communication between the pediatric oncologist and
13 the adult oncologist such that they could
14 collaborate jointly on these efforts.

15 What they did before wasn't exactly a nested
16 study. What they did is they just lowered the age
17 limit of the study, but it required collaboration
18 between the pediatric and the adult oncologist. So
19 I think at some centers, it would work. But other
20 centers, there just may not be that coordination
21 between the pediatric group and the adult group to
22 be able to make that happen.

1 One of the steps that we put in place is
2 we've sent a list of all our open studies, our open
3 sites, to the ITCC. And they're taking our list of
4 where it's open and their list of their highest
5 participating centers and trying to figure out if
6 there's overlap between the two. And where there
7 isn't overlap, they're going to get back to us and
8 make some recommendations about sites.

9 The same is going to be true in the U.S.
10 We've talked to the PBTC, and we're planning on
11 sending them a list of where the study is open in
12 the U.S. and see if they can give us some
13 recommendations about who to approach.

14 DR. PAPPO: I would like to ask
15 Dr. Leigh Marcus to introduce herself for the
16 record. She just joined us.

17 DR. MARCUS: Hi. This is Leigh Marcus, one
18 of the FDA medical officers. Thanks.

19 DR. PAPPO: We only have time for three more
20 questions. It's going to be Ms. Haylock,
21 Dr. Armstrong, and Dr. DuBois, and then we're going
22 to move to the next part of the meeting. So

1 Dr. Haylock?

2 MS. HAYLOCK: I have some very specific
3 logistical questions with regard to patient care
4 and the expectations of family while the child is
5 participating in the study.

6 First of all, I don't see how long -- I
7 mean, do patients stay on this for the remainder of
8 their lives until there's progression or what's
9 that plan? But I mostly am interested in any kind
10 of supportive care that happens between doses. Are
11 there other medications or other supportive care
12 measures that should be put in place along with the
13 trial process itself?

14 DR. HOLEN: Yes. We envision treating
15 children similar to how the adults are treated.
16 They would stay on treatment for as long as the
17 patient may be benefiting. If there aren't severe
18 toxicities and it seems like the tumor is under
19 control, then they can stay on treatment until the
20 point when either the patient, or the family, or
21 the investigator decides that it's no longer a
22 benefit. And hopefully, that would be a long time

1 that they'd stay on treatment.

2 In terms of the supportive care, I agree
3 with you. And this is not something that we've
4 spent enough time on, but I think we need to
5 develop educational and support materials
6 specifically for families who might not be
7 able -- the adult materials may not be so relevant.

8 So information about how to administer the
9 eye drops in children, things like that, because
10 oftentimes, it will be the parents that will be
11 administering these eye drops in the younger
12 population. I think that's very relevant and
13 something that we should do, certainly.

14 Just to mention, we have a couple of
15 ophthalmologists that we talk to. In fact, one
16 pediatric ophthalmologist, I think they may be of
17 help in creating those education materials.

18 MS. HAYLOCK: In terms of all these other
19 possible symptoms, there's really no prophylactic
20 measures used throughout the treatment process?

21 DR. HOLEN: The only thing that we do that
22 has prophylactic measures is the steroid eye drops.

1 We had not recommended routine antiemetics because
2 nausea, although it's reported in our studies, it
3 hasn't been a significant problem. It's mainly
4 been grade 1. And oftentimes, it's hard to tease
5 out if that's from the disease or that's from the
6 drug. It really won't be until we do the
7 randomized studies against control where we can
8 start understanding that a little bit better.

9 MS. HAYLOCK: Thank you.

10 DR. HOLEN: You're welcome.

11 DR. ARMSTRONG: Deb Armstrong. A couple of
12 my questions have been answered. I'd just like to
13 clarify, on slide 32, you indicate that central or
14 local testing of EGFR, patients would be eligible.
15 So if there's local testing of EGFR, that would be
16 sufficient?

17 DR. HOLEN: Yes.

18 DR. ARMSTRONG: Okay. They wouldn't have to
19 have the SPECTA testing eligibility?

20 DR. HOLEN: Correct.

21 DR. ARMSTRONG: Okay. I would encourage
22 having major centers such as you mentioned, the NIH

1 and St. Jude, that might be able to access patients
2 from around the country for this.

3 Two questions. You had zero responses in
4 15 patients in the adult population who were
5 negative. Do you think there's any reason to
6 consider having a cohort of negative patients in
7 the pediatric population in case there are some
8 responses? Negative doesn't mean zero; it just
9 means not amplified so the potential for a response
10 in that group of patients.

11 DR. HOLEN: Yes, we haven't considered that
12 at this point, and it may be something that we
13 would want to consider in the adults, too. But
14 we've looked fairly extensively at some other
15 biomarker that might be related to response.

16 The mRNA expression of EGFR and V-3 and IHC,
17 they just haven't been reliable measures. We've
18 had some patients who are amplified but have lower
19 EGFR IHC, and those responded. And then patients
20 who are not amplified with really high levels of
21 EGFR expression did not respond at all.

22 It really doesn't seem like the protein

1 expression or the mRNA expression is a reliable way
2 to segregate patients. And because of that, I
3 don't think that the non-amplified patients really
4 make sense for this drug.

5 DR. ARMSTRONG: Finally, I guess would sort
6 of support one of Dr. Kieran's initial statements,
7 which is about allowing the concomitant use of
8 temozolomide.

9 Potentially, this might be an easier study
10 for you with easier accrual if you had a little
11 more lax eligibility criteria potentially
12 allowing -- I think for the adult population, you
13 allow one prior chemotherapy, but maybe allowing
14 two prior chemotherapies and making it just an
15 ABT-414 arm, which would probably simplify things.
16 People might feel they wanted to give another
17 course of temozolomide; or I don't know if they
18 use bevacizumab in pediatrics like they do in
19 adults, but, you know, some other treatment, and
20 maybe have a more stringent performance status
21 criteria because the patients might be more heavily
22 treated.

1 But to make it a little bit cleaner study, I
2 think, to me, would be -- and particularly since
3 you're going to have a very small number of these
4 patients, if you have a little more liberal
5 pretreatment criteria but a higher performance
6 status criteria, you might capture more patients.

7 Particularly when you start the study,
8 there's going to be some people who might have the
9 amplification but don't meet your more stringent
10 eligibility criteria. And I just think it would be
11 a cleaner study. You clearly had responses in the
12 adult population and in patients with the drug
13 alone.

14 DR. HOLEN: Yes. I agree with you. We want
15 to be as flexible and open as possible with our
16 eligibility criteria because of the rarity of this
17 diagnosis, with EGFR amplification. We don't want
18 to lose patients just because they happen to have
19 only one prior therapy or three prior or more.

20 We've eliminated any mention of prior
21 therapies from our eligibility. We've, in fact,
22 even eliminated performance status. Yes, we may

1 get more variety in terms of the population that's
2 under study, but I think we can adequately assess
3 414 toxicities, particularly because the toxicity
4 is so unique, that ocular toxicity was our only
5 dose-limiting toxicity that we observed.

6 There are many other chemotherapies that
7 will give you that specific ocular toxicity. So I
8 think that we'll be able to tease out what effects
9 414 is having on the eyes regardless of what the
10 performance status may be or how many prior
11 therapies they might've had.

12 DR. ARMSTRONG: I guess I just sort of would
13 advocate for the cleaner study with the drug alone
14 as opposed to allowing the addition of temozolomide
15 if somebody is treating -- a physician really wants
16 to give them temozolomide, they can give it to them
17 and then put them on the study after that. I just
18 think that that seems to be a cleaner study.

19 DR. HOLEN: That's a balance that we've
20 grappled with a bit. If an investigator really
21 wants to use temozolomide, would we lose the
22 patient from entering the study because they gave

1 the patient temozolomide first, and then at the
2 time they progressed on temozolomide, may not be
3 able to participate in the study.

4 I think we'll have to speak more with
5 experts like the people in this room to understand,
6 okay, is temozolomide important? If it's not
7 important, then I'm happy to get rid of it. But if
8 it is important -- and I'm seeing some heads
9 saying, no, it's not important.

10 If it's not important, we get rid of it. If
11 it is important, then we'll keep it in as an
12 option.

13 DR. PAPP0: We're going to discuss this a
14 little bit further in the questions. Last
15 question, Steve?

16 DR. DuBOIS: Following your ASCO
17 presentation earlier this year, have you had
18 requests for compassionate access from the
19 pediatric population, and might that be a gauge of
20 the potential pool of patients for a formal trial?

21 DR. HOLEN: Yes, we had one request from a
22 17-year-old who wasn't eligible for the study

1 because our age limit is 18. There was a request,
2 and we approved the request, but the
3 investigator -- and I'm not exactly sure
4 why -- decided not to follow through. So he never
5 received a dose of 414.

6 DR. PAPP0: Thank you very much, and thank
7 you for the very lively discussion.

8 DR. HOLEN: Thank you.

9 DR. PAPP0: We do not have any registrants
10 for the open public hearing portion of the session,
11 so we will proceed directly to the questions to the
12 committee.

13 **Questions to Subcommittee and Discussion**

14 We will now proceed with the questions to
15 the committee and panel discussions. I would like
16 to remind public observers that while this meeting
17 is open for public observation, public attendees
18 may not participate except at the specific request
19 of the panel. Dr. Lori Ehrlich will start reading
20 the first question.

21 DR. EHRLICH: Thank you. Please consider
22 whether ABT-414 is a viable drug candidate for

1 study in the pediatric population. Comment on the
2 feasibility of a trial of ABT-414 in pediatric
3 patients with high-grade glioma given both the
4 rarity of the disease and the low frequency of
5 pediatric HGG with EGFR.

6 DR. PAPPO: Let's start the discussion.

7 DR. KIERAN: Mark Kieran. Yes.

8 DR. PAPPO: I'm sorry. If there are no
9 questions or comments concerning the wording or the
10 question, we will now proceed to the discussion.
11 Sorry about that.

12 DR. ARMSTRONG: I guess I would ask the
13 people here who treat these kids what you feel
14 about having the ABT-414 alone or adding in the
15 temozolomide, what people's thoughts are about
16 that, particularly since they have a very liberal
17 eligibility criteria, which actually it sounds like
18 it's just EGFR mutation; it's not a PS or a prior
19 therapy limitation.

20 DR. KIERAN: Mark Kieran. I think on this
21 side of the table, we probably agree that this is
22 not really going to be much of an issue.

1 Virtually, all the kids get temozolomide up front.
2 We're not even clear that it's really got a lot of
3 activity. Pediatric high-grade gliomas have a much
4 higher incidence of MGMT expression, which makes
5 them resistant.

6 So if they haven't gotten it first -- so we
7 don't put patients on temozolomide if they're
8 expressing the resistance enzyme. Many of the
9 patients will be temozolomide naïve, but they still
10 wouldn't be appropriate candidates for the drug.
11 And for centers that are not testing, it's almost
12 always used in first line, which means any patient
13 eligible for this study would already have it, so I
14 don't think it's going to be as much of an issue.

15 DR. WEIGEL: Brenda Weigel. I agree
16 completely with Mark. I think that temozolomide,
17 in my mind, is sort of a non-issue and clouds both
18 the interpretation of the study, both from a PK
19 point of view as well as from an efficacy point of
20 view.

21 I think these patients, most will have seen
22 the drug; most will have progressed through it. I

1 think it would not inhibit enrollment because I
2 think most pediatric oncologists would be very
3 comfortable not giving it if their patient had
4 progressed.

5 So I actually don't think it would be an
6 issue, and I actually think the study would be
7 stronger without it.

8 DR. PAPPO: We're already sort of answering
9 question number 2. But if I'm hearing this
10 correctly from the two experts, you do not believe
11 that combining ABT-414 with temozolomide in this
12 phase 1 study would be a reasonable or good idea,
13 that we should just test the antibody alone; is
14 that correct?

15 DR. WEIGEL: I would be in favor of just
16 looking at the antibody alone, antibody conjugate
17 alone.

18 DR. KIERAN: Yes. Mike Kieran. I agree.
19 It complicates things unnecessarily. I think it
20 would restrict on almost no entry into the trial,
21 so you won't lose any patients because of it.
22 Again, if you want to accredit in response to your

1 drug, then I think your drug ought to be the one
2 that sits first and foremost.

3 Even the data you showed was kind of
4 underwhelming for the addition of temozolomide,
5 making -- I mean, if that curve had looked
6 significantly better in Arm 2, that might have
7 changed my mind. But certainly, based on what we
8 saw, I would recommend a just single-treatment
9 cohort.

10 DR. PAPPO: Greg?

11 DR. REAMAN: And I think that's amplified by
12 the fact that there are multiple published
13 observations of the lack of efficacy or activity of
14 temozolomide in children with high-grade glioma.

15 DR. PAPPO: What about going back to the
16 original question, whether to consider this drug as
17 a viable candidate for development in pediatric
18 patients with recurring high-grade gliomas? Any
19 comments, suggestions?

20 DR. DuBOIS: I may support the comment of
21 one of the other panelists. I can't remember who
22 suggested evaluating other published data or

1 perhaps unpublished data from commercial entities
2 who are doing next-generation sequencing in
3 pediatric and adult tumors to see if there might be
4 other relevant pediatric tumors for this agent, in
5 which case the dose confirmation in PK evaluation
6 perhaps could include a slightly wider net of
7 patients in addition to those very rare patients
8 with high-grade glioma and an EGFR amplification.

9 DR. PAPPO: Any other comments?

10 DR. KIERAN: Mark Kieran. To answer this
11 specific question, I think really they've done a
12 wonderful job of coming up with what is a
13 methodology that would actually allow patients that
14 will otherwise just be ignored. The one thing that
15 we try to remind people on the clinical side is a
16 child dying of a malignant glioma is just as dead
17 as an adult. And the idea that they wouldn't get
18 this opportunity -- and I think as all of us in
19 this room begin to struggle with how to analyze
20 rare diseases or sub-populations of rare diseases,
21 which obviously all of pediatrics are, I think what
22 you've put together here, in many ways, is

1 encouraging because it figures out a way to do
2 something that I think otherwise really wouldn't be
3 doable.

4 The number of the studies, we, the members,
5 run that don't actually end up accruing because
6 they're too rare and people haven't figured out a
7 way to do them, I think I would certainly support
8 the rarity of the disease, the approach that's been
9 proposed.

10 DR. PAPPO: Greg?

11 DR. REAMAN: We would just want to echo
12 that. And part of the reason -- or the major
13 reason that AbbVie is here is because of this
14 proposal. I would also commend the sponsor for
15 doing exactly as they have done. We would love to
16 see this paradigm repeated in other rare pediatric
17 tumors. So I think our aim here is to facilitate
18 the development of potentially relevant agents, and
19 I think this is a great way to actually accomplish
20 that.

21 DR. PAPPO: Any comments from the panel
22 members on how to perhaps increase accrual, the

1 study design, where the studies are being
2 conducted? Any suggestions?

3 DR. REAMAN: There are subsequent questions.

4 DR. PAPPO: Well, I was getting there. I
5 was so excited.

6 (Laughter.)

7 DR. REAMAN: You're jumping ahead.

8 DR. PAPPO: Sorry. Any other comments about
9 question number 1?

10 (No response.)

11 DR. PAPPO: I would like to summarize this.
12 The first point was that preliminarily, although
13 this was part of question number 2, there's not an
14 overwhelming enthusiasm about adding temozolomide
15 to this phase 1 pediatric study.

16 Number 2, we would suggest that the company
17 also evaluates other published data on
18 next-generation sequencing to determine where there
19 are other potential avenues for this antibody in
20 EGFR expressing pediatric tumors.

21 The third one is that we are very supportive
22 of your novel idea of doing a nested study using

1 this antibody in a pediatric population with a very
2 rare and devastating pediatric cancer, which is
3 high-grade glioma. Based on what we've discussed
4 today, we're highly supportive of your initiative.

5 Does anybody agree with the comments?

6 DR. ARMSTRONG: I would agree. I would just
7 also add to the last one that sites that
8 potentially don't have the adult population but
9 would have the pediatric population be allowed to
10 open the study. So although it's still a nested
11 study, they would only be doing the pediatric arm.

12 I just will say, at our institution, some
13 diseases, for example, germ cell tumors, we have
14 adult trials that pediatric oncologists participate
15 in and their patients. That's not outside the
16 realm of doable or reasonable or even historically
17 done. That can be done.

18 So I think it's sites where there's both
19 pediatric and adult brain tumor treatments, this is
20 not hard to do. You just add on some investigators
21 from the pediatrics arm.

22 DR. PAPPO: Thank you. I guess we can

1 proceed to the next question.

2 DR. EHRLICH: I think we've already
3 discussed this in part --

4 DR. PAPPO: Just a reminder to please say
5 your names when you are having discussion.

6 DR. EHRLICH: I can read this question and
7 perhaps we can move on. Question 2 is, please
8 address the plans for administering ABT-414 in
9 combination with temozolomide.

10 DR. PAPPO: Any discussion? We sort of
11 addressed this but we can re-address it. Anybody
12 wants to be the spokesman for this?

13 DR. KIERAN: I've changed my -- no.

14 (Laughter.)

15 DR. PAPPO: I think based at least on the
16 discussions, not only on our direct discussions
17 with AbbVie Pharmaceuticals but also on our first
18 question, that we are not particularly supportive
19 of adding temozolomide to the antibody, at least in
20 the phase 1 portion of the trial. If anybody
21 disagrees or anybody has additional comments, we'll
22 be more than happy to hear that.

1 DR. KIERAN: Can I just -- this isn't really
2 a phase 1 trial, right? This is really like a
3 little pilot phase 2, I guess. Just to clarify
4 that -- because there is no dose escalation here.
5 Everybody gets the same dose.

6 DR. PAPPO: They sort of were as a
7 phase -- but it's okay.

8 DR. REAMAN: 1B.

9 DR. PAPPO: 1B. Okay. We will now proceed
10 to the next question.

11 DR. EHRLICH: Please comment on the proposal
12 for a pediatric trial embedded in the adult trial
13 and other components of the study design, including
14 expected number of pediatric patients, age range
15 and dose escalation. Consider the need for and/or
16 the extent of a statistical analysis plan for
17 evaluation of the response and pharmacokinetic
18 endpoints.

19 DR. PAPPO: This is open for discussion.
20 Brenda?

21 DR. WEIGEL: I have a couple comments. I
22 think as we stated, I'm very supportive of the

1 nested design. I think that's really unique, and I
2 applaud AbbVie for that. I think that's terrific.

3 A couple suggestions, and I think Mark
4 Kieran alluded to this and others. With regards to
5 the dose, I think we have enough experience with
6 antibodies now in children to know that the
7 clearance of antibodies, particularly in the
8 younger children and smaller children, tends to be
9 more robust than adults. The risk here is
10 underdosing the children not overdosing the
11 children. I think it's the balance between
12 toxicity and efficacy.

13 I think the real key here is to have -- and
14 you alluded to real time PK to assess are we
15 underdosing particularly the smallest children?
16 And your modeling suggests, and most of the studies
17 done thus far with antibodies in adolescents
18 suggest, that we're going to have the same as in
19 adults.

20 So I don't know that you need to spend a lot
21 of time doing a lot of work. I would encourage the
22 consideration within the design to saying you want

1 to enroll potentially six patients in the 6 to
2 12 ballpark. And I'll get to the second part of my
3 comment in a second. You run the risk of having
4 all six of your patients be 16, 17, 15, and not
5 answering the pediatric question unless you build
6 that into the study.

7 So I would very strongly consider having a
8 requirement for a younger-age PK so that we can get
9 the toxicity and the PK data in the younger-age
10 population.

11 That brings me to the less-than-6 comment.
12 I think Dr. Reaman alluded to this, is that I think
13 we have very little data that you've presented with
14 regards to specific toxicity concerns in the
15 younger patient population. I think the same
16 argument holds that if you have a patient with an
17 EGFR-altered tumor in that age group, it may
18 behoove us to take that opportunity to enroll that
19 patient on to a study.

20 So I would say we would need to really
21 consider what is the hard evidence of the toxicity
22 in that younger-age group, how are we going to

1 monitor that, and do we want to consider the
2 opportunity, even though that patient will be very
3 rare, to learn both from a toxicity point of view
4 and an efficacy point of view. From a design
5 perspective, those are my main comments, I think,
6 with regards to age and dose.

7 DR. PAPP0: Let me briefly summarize your
8 comment. If I understand this correctly, there is
9 some evidence to suggest that the clearance of the
10 antibody, actually, we might be underdosing some of
11 the younger patients and that we suggest that there
12 would be a requirement to enroll at least
13 6 patients in the 6 to 12-year age group to better
14 define the PK of this antibody.

15 The other suggestion also is in the event
16 that there is a younger patient, less than 6 years
17 of age, with an EGFR-mutated or amplified
18 high-grade glioma, to give consideration to
19 enrolling those patients in the trial.

20 Does that reflect pretty much?

21 DR. WEIGEL: Yes. And I think very
22 carefully consider what the age restriction should

1 be on this trial and consider pushing that limit
2 down.

3 DR. PAPP0: Okay. Any other comments?
4 Susan?

5 MS. WEINER: My comment has to do with the
6 follow-up of patients -- I'm Susan Weiner -- the
7 follow-up of patients who seem to respond -- where
8 the evidence is clear that they're responding well
9 to 414.

10 The fact that you're keeping the patients on
11 drug for as long as they're responding hopefully is
12 terrific, but I guess I would also want there to be
13 some documentation of their functioning in the
14 follow-up.

15 DR. ARMSTRONG: Deb Armstrong. It would
16 seem to me that the antibody issue here is going to
17 be one of how much the tumor takes up the antibody
18 drug conjugate, so sort of the density of the
19 binding sites and maybe the avidity of the binding
20 sites.

21 We've talked about the fact that the
22 metabolism and the clearance might be different in

1 these younger folks. But is the blood-brain
2 barrier different? I know it's broken down into
3 patients who have these tumors, but is there a
4 difference in the blood-brain barrier between
5 pediatric and adult?

6 DR. KIERAN: Mark Kieran. Actually, it's
7 not crystal clear because we talk about the
8 blood-brain barrier as if it is a single thing. In
9 fact, the blood-brain barrier differs between
10 different parts of the brain even in an otherwise
11 healthy person, which means drug that easily gets
12 into one area wouldn't get into another one anyway.

13 Unfortunately, I think the reality for
14 blood-brain barrier right now is that if you have a
15 drug that crosses, you want to test it because it
16 crosses. If it doesn't cross, you argue the
17 barrier is broken down, so it doesn't matter. I
18 think we both -- every grant kind of plays both
19 sides of that field simultaneously.

20 We had some questions today about the exact
21 mechanism by which it penetrates and whether it
22 penetrates all parts of the tumor, the enhancing

1 and the non-enhancing parts. I don't think we
2 fully understand.

3 I agree that the target validation or phase
4 zero component, that could certainly be done in the
5 adults and is, in fact, now even being done in
6 pediatrics, would be a great way to answer some of
7 those questions.

8 DR. PAPPO: Are there any additional studies
9 that should be considered to better define the PK
10 of this product in pediatrics, some pharmacodynamic
11 or imaging things that are not being considered for
12 the clinical trial?

13 DR. KIERAN: Mark Kieran. Again, I think
14 the patient population we're talking about is so
15 rare and so spread out that to try and do an
16 imaging component will certainly be part of it
17 because you'll be looking at response anyway.

18 You've referred to the RANO criteria, and I
19 will remind people in the room that in pediatrics,
20 there is RAPNO. They're radiologic assessment in
21 pediatric neuro-oncology, which for things like
22 DIBG will be different than RANO. But for

1 malignant gliomas, it's going to be the same, which
2 is why I was okay with the wording.

3 It's a very kind of complicated analysis.
4 Again, I don't want us to get so bogged down in
5 trying to put so many things in for what is going
6 to be a minuscule number of patients that somehow
7 we don't get all of this stuff done. It would be
8 great if you could do some of those things, but I
9 wouldn't make them a requirement.

10 I think the real question here is -- I think
11 they're going to be real questions about the PK.
12 And one of the things I think you're going to need
13 in your study is this may not just be a standard
14 dosing in pediatrics. You may need a dose
15 escalation component in pediatrics, either dose
16 escalation in terms of an increase in the actual
17 dose, a loading dose, and/or a contraction of the
18 time period like q10-day or 7-day administration,
19 not q14.

20 I noticed in your data, you actually had
21 3-week dosing, 2-week dosing, and 2 milligrams and
22 1.25 and 1 milligram per kilo. So you've clearly

1 already done some of those in the adults, and I
2 think those are going to be the big issues in
3 pediatrics.

4 DR. DuBOIS: Steve DuBois. I'll just pick
5 up on a couple of things that Dr. Weigel raised,
6 one related to the age criteria. I agree
7 completely that re-evaluating an age cut point
8 perhaps below age 6 would be important. At the
9 same time, I would encourage the sponsor to think
10 about the key toxicity of this agent and thinking
11 about an age at which a pediatric patient could
12 reliably report that they're experiencing blurry
13 vision or eye pain I think would be important.
14 Certainly, somewhere below 6, I think would be
15 reasonable, but not all the way to zero.

16 I'll also echo Dr. Weigel's thoughts about
17 the dose. In particular on one of the background
18 slides, the recommended adult phase 2 dose was
19 anywhere from 1.25 to 2 milligrams per kilogram.
20 So a flat dose of a milligram per kilogram I think
21 would certainly have a risk of underdosing given
22 what we know about antibody clearance in

1 pediatrics.

2 Finally, a point just about site selection,
3 I would encourage the sponsor to think about
4 institutions that, as a routine standard, provide
5 next-generation sequencing to all of their
6 pediatric patients as potential centers to consider
7 given that they will already be potentially
8 screening their patients as a matter of course.

9 DR. PAPPO: Any other questions? Greg?

10 DR. REAMAN: Just to clarify Ms. Weiner's
11 comment about functioning, you're talking about
12 visual functioning? I just want to be sure
13 that --

14 MS. WEINER: (Nods in affirmative)

15 DR. REAMAN: Okay.

16 MS. WEINER: This is Susan Weiner. I'm not
17 recommending a neuropsychological function.

18 DR. PAPPO: Okay. To summarize the second
19 part of the discussion, because patients are going
20 to be exposed to this antibody for a prolonged
21 period of time if they respond, we suggest that the
22 company includes functional observation of these

1 patients, particularly the ocular toxicity.

2 There were some discussions about the
3 blood-brain barrier in younger patients and also to
4 consider a dose escalation component, or either a
5 dose compression component on this part of the
6 study, given the fact that some of these patients
7 might have increased clearance of the antibody.

8 The other question that was brought up again
9 was to consider again the population of patients
10 less than 6 years of age and also take into
11 consideration the ability to self-report any ocular
12 toxicities by younger populations.

13 The final comment was about site selection
14 and to include sites that also routinely perform
15 next-gen sequencing on some of their patients and
16 tumors.

17 Any other clarifications or anything I did
18 not include, or anything that I misquoted?

19 (No response.)

20 DR. PAPP0: We will go to the next question
21 then.

22 DR. EHRLICH: Given the incidence of HGG in

1 children, please discuss how accrual to the
2 pediatric sub-study might be increased and
3 accelerated by opening this amended protocol in a
4 broader group of institutions or clinical trial
5 networks.

6 DR. PAPP0: It's open for discussion.

7 Steve?

8 DR. DuBOIS: Perhaps I should have held my
9 last comment for this.

10 (Laughter.)

11 DR. DuBOIS: I'll just say that that would
12 be my suggestion.

13 DR. REAMAN: I would just suggest that even
14 though you've gotten some -- "pushback" probably
15 isn't a correct word -- from the PBTC and the ITCC,
16 as a former cooperative group chair, I can tell you
17 that most institutions don't open every protocol
18 that a group is sponsoring or conducting.

19 But I think even though this is a rare
20 disease, and a very, very rare disease, it's a
21 disease that's associated with a tremendous unmet
22 need. I think there may be more interest in this

1 than the usual protocols where we're concerned
2 about not seeing a patient. I would continue to
3 explore -- suggest you continue to explore every
4 possibility of expanding the pediatric centers who
5 might be interested in enrolling patients.

6 DR. PAPPO: Brenda?

7 DR. WEIGEL: This is Brenda Weigel. To echo
8 what Dr. Reaman said, I think I would support sort
9 of that double-pronged approach to optimize your
10 centers. Talking to the cooperative groups,
11 national and international, the difference is being
12 that's a single contract with multiple sites, and
13 then you can also add additional sites depending
14 which groups you talk to.

15 You could really leverage almost every
16 pediatric institution. And in a rare study, tumor
17 study like this, I think it would be really
18 important to continue to explore all those avenues.

19 DR. PAPPO: If I understood this correctly,
20 I think that we recommend that you continue to
21 explore other potential avenues for expanding
22 enrollment into this study, whether it's through

1 the PBTC or other potential avenues, and also to
2 consider one contract with multiple sites in order
3 to leverage your ability to increase numbers of
4 patients.

5 Any other suggestions? Mark?

6 DR. KIERAN: I think I would specifically
7 include that because there are multiple groups
8 around the country now, referral sites that
9 basically already provide the molecular sequencing
10 for all of the patients.

11 That's a way in which it would speed things
12 up since time will be an essence for patients with
13 malignant gliomas that have relapsed. They often
14 can't wait very long for you send stuff off, get
15 all of the stuff done, get the answer. I would
16 kind of make sure that the wording that Steve had
17 mentioned regarding -- particularly thinking about
18 centers that are already doing this on a routine
19 basis because the timing here is going to be
20 critical.

21 DR. PAPP0: That's something that Steve had
22 mentioned. Yes?

1 MS. WEINER: This is Susan Weiner. I would
2 like to echo what Dr. Armstrong said earlier, which
3 is concentration or a filter for institutions that
4 have adult pediatric trials already started, a
5 history of that in their own institutions is
6 probably a good bet.

7 DR. PAPPO: Any other additional comments?

8 (No response.)

9 DR. PAPPO: We will proceed to the last
10 question.

11 DR. EHRLICH: Please comment on any concerns
12 relating to the use of ABT-414 in pediatric
13 patients. Specifically, address any considerations
14 for monitoring and/or preventing the known ocular
15 toxicity.

16 DR. PAPPO: If there are no questions or
17 comments concerning the wording of the questions,
18 we will now proceed to the discussion.

19 Dr. Armstrong?

20 DR. ARMSTRONG: I think this is a particular
21 concern for these kids, school issues and things
22 like that. I suspect that many of these kids don't

1 meet their developmental milestones because of
2 their disease and their treatment and having to
3 be -- et cetera. But I think especially, for the
4 kids that are on this for a longer period of time,
5 looking at those in terms of toxicities and whether
6 or not there are developmental delays or
7 developmental milestones that aren't being met
8 would be particularly of concern.

9 The ocular toxicity, I think, obviously in
10 this school-age child is a big concern in making
11 sure that these kids are hooked up with vision
12 specialists. Maybe some of these kids may
13 transiently need eyeglasses to help read and other
14 things that adults could kind of deal with, but
15 kids may not be able to deal with quite so well.

16 DR. ANGIOLILLO: Anne Angiolillo. Yes, I
17 want to second that recommendation. I think
18 official ophthalmology exams by an ophthalmologist
19 would be very prudent since we're in uncharted
20 territory and so much of our exam is subjective
21 when it comes to neuro and ocular and talking to
22 children, how is your vision. So I think a formal

1 session should be a required observation, just a
2 recommendation. Thank you.

3 DR. PAPPO: Any other comments?

4 DR. RAETZ: I would just agree -- Elizabeth
5 Raetz. Probably baseline, knowing the status, you
6 don't want to make this any more cumbersome for
7 entry, but I think it would be important probably
8 to know their baseline status prior to receiving
9 the agent as well.

10 DR. PAPPO: So the panel appears to have
11 appropriate concern about the ocular toxicity of
12 this product, and we would recommend that this is
13 studied very, very carefully in the study and that
14 also patients are routinely seen by a specialist,
15 hopefully an ophthalmologist, and they have a
16 baseline exam and routine follow-up to better
17 define the toxicities of that antibody in this
18 pediatric population.

19 Anything else?

20 (No response.)

21 **Adjournment**

22 DR. PAPPO: Well, we will now take a

1 15-minute break. Maybe a little bit longer, right?
2 A little bit longer, until 10:50, so about 40
3 minutes or so.

4 Panel members, please remember that there
5 should be no discussion of the meeting topic during
6 the break amongst yourselves or with any members of
7 the audience, and we will resume at 10:50. Thank
8 you.

9 (Whereupon, at 10:12 a.m., Session 1 of the
10 meeting was adjourned.)

11
12
13
14
15
16
17
18
19
20
21
22