

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE  
ONCOLOGIC DRUG ADVISORY COMMITTEE

Session 2

Thursday, November 19, 2015

8:01 a.m. to 10:12 a.m.

FDA White Oak Campus  
10903 New Hampshire Avenue  
Building 31 Conference Center  
The Great Room (Rm. 1503)  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren D. Tesh, PharmD, BCPS**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Deborah K. Armstrong, MD**

10 ***(Session 1 Only)***

11 Professor of Oncology

12 The Sidney Kimmel Comprehensive Cancer Center at

13 Johns Hopkins

14 The Johns Hopkins University School of Medicine

15 Baltimore, Maryland

16

17 **Alberto Pappo, MD (Chairperson)**

18 Member and Head, Division of Solid Malignancies

19 St Jude Children's Research Hospital

20 Professor of Pediatrics

21 University of Tennessee Health Science Center

22 Memphis, Tennessee

1       **TEMPORARY MEMBERS (Voting)**

2       **Anne L. Angiolillo, MD**

3       Director, Leukemia & Lymphoma Program

4       Division of Oncology

5       Center for Cancer and Blood Disorders

6       Children's National Medical Center

7       Professor of Pediatrics

8       The George Washington University School of

9       Medicine and Health Sciences

10      Washington, District of Columbia

11

12      **Steven G. DuBois, MD, MS**

13      Director, Experimental Therapeutics

14      Dana-Farber/Boston Children's Hospital

15      Faculty of Pediatrics, Harvard Medical School

16      Boston, Massachusetts

17

18      **Pamela (P.J.) Haylock, PhD, RN, FAAN**

19      ***(Acting Consumer Representative)***

20      Medina, Texas

21

22

1     **Mark W. Kieran, MD, PhD**

2     Director, Pediatric Medical Neuro-Oncology

3     Dana-Farber Cancer Institute/Boston Children's  
4     Hospital

5     Director, Pediatric Brain Tumor Clinic

6     Dana-Farber Cancer Institute/Boston Children's  
7     Hospital

8     Associate Professor of Pediatrics

9     Harvard Medical School

10    Boston, Massachusetts

11

12    **AeRang Kim, MD, PhD**

13    Attending Physician, Division of Oncology

14    Children's National Medical Center

15    Assistant Professor, Department of Pediatrics

16    George Washington School of Medicine and  
17    Health Sciences

18    Washington, District of Columbia

19

20

21

22

1     **Elizabeth A. Raetz, MD**

2     Professor of Pediatrics  
3     Pediatric Hematology/Oncology  
4     University of Utah  
5     Huntsman Cancer Institute  
6     Primary Children's Hospital  
7     Salt Lake City, Utah

8

9     **Brenda Weigel, MD, MSc**

10    ***(Session 1 Only)***

11    Associate Professor  
12    Developmental Therapeutics Chair  
13    Children's Oncology Group  
14    Division Director, Pediatric Hematology/Oncology  
15    University of Minnesota  
16    Minneapolis, Minnesota

17

18    **Susan Weiner**

19    ***(Patient Representative)***

20    Brooklyn, New York

21

22

1       **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2       **(Non-Voting)**

3       **Phuong Khanh (P.K.) Morrow, MD, FACP**

4       Executive Medical Director, Amgen Oncology

5       Therapeutic Area Head, US Medical Organization

6       Thousand Oaks, California

7

8       **FDA PARTICIPANTS (Non-Voting)**

9       **Gregory Reaman, MD**

10      Associate Director for Oncology Sciences

11      OHOP, OND, CDER, FDA

12

13      **Amy Barone, MD**

14      Medical Officer

15      Division of Oncology Products 2 (DOP2)

16      OHOP, OND, CDER, FDA

17

18      **Lori Ehrlich, MD, PhD**

19      Medical Officer

20      Division of Hematology Products (DHP)

21      OHOP, OND, CDER, FDA

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Leigh Marcus, MD**

Medical Officer

DOP2, OHOP, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	<b>Session 2: Lenvatinib - Eisai, Inc.</b>	
4	Conflict of Interest Statement	
5	Laura Tesh, PharmD, BCPS	9
6	<b>Announcement of Change to Participants</b>	13
7	<b>Sponsor Presentation - Eisai, Inc.</b>	
8	Lenvatinib Proposal for Pediatric	
9	Written Request	
10	Dimitris Voliotis, MD, PhD	14
11	Clarifying Questions from Subcommittee	36
12	Questions to the Subcommittee and Discussion	68
13	Adjournment	83
14		
15		
16		
17		
18		
19		
20		
21		
22		



P R O C E E D I N G S

(10:49 a.m.)

DR. PAPP0: Good morning. We will now proceed with Session 2, lenvatinib from Eisai. Dr. Tesh will read the conflict of interest statement for this session.

**Conflict of Interest Statement**

DR. TESH: The Food and Drug Administration is convening today's meeting of the Pediatric Oncology Subcommittee of the Oncologic Drugs Advisory Committee under the authority of the Federal Advisory Committee Act of 1972. With the exception of the industry representative, all members and temporary voting members of the committee are special government employees or regular federal employees from other agencies and are subject to federal conflict of interest laws and regulations.

The following information on the status of this committee's compliance with the federal ethics and conflicts of interest laws, covered by but not limited to those found at 18 U.S.C. Section 208, is

1 being provided to participants in today's meeting  
2 and to the public.

3 FDA has determined that members and  
4 temporary voting members of this committee are in  
5 compliance with federal ethics and conflict of  
6 interest laws under 18 U.S.C. Section 208.  
7 Congress has authorized FDA to grant waivers to  
8 special government employees and regular federal  
9 employees who have potential financial conflicts of  
10 interest when it is determined that the agency's  
11 need for a particular individual's services  
12 outweighs his or her potential financial conflict  
13 of interest.

14 Related to the discussion of today's  
15 meeting, members and temporary voting members of  
16 this committee have been screened for potential  
17 financial conflicts of interest of their own as  
18 well as those imputed to them, including those of  
19 their spouses or minor children and, for the  
20 purposes of 18 U.S.C. Section 208, their employers.  
21 These interests may include investments;  
22 consulting; expert witness testimony;

1 contracts/grants/CRADAs; teaching/speaking/writing;  
2 patents and royalties; and primary employment.

3 This session's agenda involves information  
4 to gauge investigators' interests in exploring  
5 potential pediatric development plans for two  
6 products in various stages of the development for  
7 adult cancers. The subcommittee will consider and  
8 discuss issues concerning diseases to be studied,  
9 patient populations to be included, and possible  
10 study designs in the development of these products  
11 for pediatric use.

12 The discussion will also provide information  
13 to the agency pertinent to the formulation of  
14 written requests for pediatric studies if  
15 appropriate. The product under consideration for  
16 this session is lenvatinib sponsored by Eisai.

17 This is a particular matters meeting during  
18 which specific matters related to Eisai's product  
19 will be discussed. Based on the agenda for today's  
20 meeting and all financial interest reported by the  
21 committee members and temporary voting members, no  
22 conflict of interest waivers have been issued in

1 connection with this meeting.

2 To ensure transparency, we encourage all  
3 standing committee members and temporary voting  
4 members to disclose any public statements they have  
5 made concerning the product at issue.

6 With respect to FDA's invited industry  
7 representative, we would like to disclose  
8 Dr. Phuong Khanh Morrow is participating in this  
9 meeting as a non-industry voting representative and  
10 acting on behalf of regulated industry.

11 Dr. Morrow's role at this meeting is to represent  
12 industry in general and not any particular company.  
13 Dr. Morrow is employed by Amgen.

14 We would like to remind members and  
15 temporary voting members that if the discussions  
16 involve any other products or firms not already on  
17 the agenda for which an FDA participant has a  
18 personal or imputed financial interest, the  
19 participants need to exclude themselves from such  
20 involvement, and their exclusion will be noted for  
21 the record.

22 FDA encourages all other participants to

1 advise the committee of any other financial  
2 relationships that they may have with the firm at  
3 issue. Thank you.

4 **Announcement of Change to Participants**

5 DR. PAPPO: For the record,  
6 Dr. Deborah Armstrong and Dr. Brenda Weigel have  
7 left the table for this session.

8 Both the Food and Drug Administration and  
9 the public believe in a transparent process for  
10 information-gathering and decision-making. To  
11 ensure such transparency of the advisory committee  
12 meeting, FDA believes that it is important to  
13 understand the context of an individual's  
14 presentation.

15 For this reason, FDA encourages all  
16 participants, including the sponsor's known  
17 employee presenters, to advise the committee of any  
18 financial relationships that they may have with the  
19 firm at issue such as consulting fees, travel  
20 expenses, honoraria, and interest in the sponsor,  
21 including equity interest and those based upon the  
22 outcome of the meeting.

1           Likewise, the FDA encourages you, at the  
2 beginning of your presentation, to advise the  
3 committee if you do not have any such financial  
4 relationships. If you choose not to address this  
5 issue of financial relationships at the beginning  
6 of your presentation, it will not preclude you from  
7 speaking. We will now proceed with the sponsor's  
8 presentation.

9           **Sponsor Presentation - Dimitris Voliotis**

10           DR. VOLIOTIS: Good morning. Members of the  
11 Pediatric Oncology Drug Advisory Committee, FDA and  
12 guests, thank you for the opportunity to speak with  
13 you today. I am Dimitris Voliotis. I'm an adult  
14 medical oncologist by training, and I'm the head of  
15 oncology clinical research at Eisai.

16           Why are we here today? We're seeking a  
17 written request from the FDA for pediatric  
18 development of lenvatinib in the United States to  
19 help address important unmet needs in the treatment  
20 of pediatric cancers.

21           Why lenvatinib? Lenvatinib is a novel  
22 receptor tyrosine kinase inhibitor with potent

1 activity against both VEGF and FGF receptors. It  
2 was recently approved for the treatment of thyroid  
3 cancer in the United States, the European Union,  
4 and Japan.

5 What has drawn our interest and the interest  
6 of experts, including those from the Children's  
7 Oncology Group, is the impressive activity of  
8 lenvatinib in combination with everolimus in our  
9 recent randomized trial in advanced renal cell  
10 carcinoma.

11 We're here today to discuss the rationale  
12 for investigating lenvatinib in pediatric cancer  
13 and specifically the rationale for combining it  
14 with everolimus in this setting.

15 I'll start the presentation with a brief  
16 introduction to lenvatinib, including its mechanism  
17 of action and unique features. Next, I'll review  
18 the data from our adult clinical trial program  
19 including the pivotal trial in thyroid cancer and a  
20 study of the combination with everolimus in renal  
21 cell carcinoma.

22 Finally, I will discuss the rationale for

1 our pediatric program, share data from our ongoing  
2 pediatric study, and provide a preliminary outline  
3 of two proposed pediatric studies in collaboration  
4 with the Children's Oncology Group.

5 First, let me tell you about lenvatinib.  
6 Shown here are the MAP kinase and PI3 kinase mTOR  
7 pathways downstream of the vascular endothelial  
8 growth factor receptor and fibroblast growth factor  
9 receptors. Both of these pathways promote  
10 angiogenesis. Signaling through the FGF receptor  
11 has been implicated as an important mechanism of  
12 escape from VEGF receptor inhibition.

13 Lenvatinib is a multi-targeted receptor  
14 tyrosine kinase inhibitor with activity against  
15 both VEGF and FGF receptors. Therefore, it does  
16 block both pathways.

17 The in vitro inhibitor activity of  
18 lenvatinib against a variety of targets is shown  
19 here, and sorafenib is included as a representative  
20 comparator. As you can see, lenvatinib is much  
21 more potent than sorafenib against all four  
22 isoforms of the FGF receptor. Lenvatinib stands



1 out with this type of activity against both VEGF  
2 and FGF receptors in the nanomolar range.

3 This graph shows in vivo inhibition of the  
4 angiogenesis. The green bars are VEGF-driven  
5 angiogenesis and the purple bars are FGF-driven  
6 angiogenesis. As you can see, sorafenib  
7 significantly inhibits VEGF but not the FGF-driven  
8 angiogenesis compared with a control.

9 In contrast, lenvatinib effectively inhibits  
10 both VEGF- and FGF-driven angiogenesis at 10-fold  
11 lower dosages compared with sorafenib. This is  
12 consistent with the in vitro data.

13 We've conducted a rigorous nonclinical  
14 toxicology and safety pharmacology program,  
15 including a juvenile rat toxicology study, and our  
16 findings for lenvatinib are consistent with other  
17 VEGF receptor inhibitors.

18 Importantly, the toxicity profile observed  
19 in juvenile rats was similar to that observed in  
20 adult animals, although the onset of toxicity and  
21 mortality was observed earlier in juvenile rats  
22 compared with the adult animals. With regard to

1 clinical pharmacology, we've observed a linear PK  
2 profile with minimal accumulation at clinical  
3 relevant dosages.

4 Lenvatinib is extensively metabolized with a  
5 half-life of approximately 28 hours, and there are  
6 no clinically significant drug-drug interactions,  
7 food effects, or QTc prolongations in healthy  
8 volunteers.

9 In our adult clinical development program,  
10 we have treated more than 2,400 subjects with  
11 lenvatinib at this point. This program includes  
12 phase 2 monotherapy studies in a variety of  
13 different tumor types. We've also conducted a  
14 number of phase 1b and phase 2 studies combining  
15 lenvatinib with other targeted agents or with  
16 chemotherapy.

17 In particular, we have completed a study in  
18 renal cell carcinoma in combination with  
19 everolimus, and I will discuss that study in more  
20 detail later. Other supporting studies have  
21 examined bioavailability, QTc, and food effect.

22 Finally, we have conducted two large phase 3

1 trials. Study 303 is the pivotal phase 3 trial  
2 that served as the basis for approval in  
3 differentiated thyroid cancer, and we have an  
4 ongoing phase 3 study in hepatocellular carcinoma  
5 for which enrollment has just been completed, and  
6 we're expecting the data to mature at some point in  
7 2016.

8 Now, I would like to review the data from  
9 our pivotal phase 3 trial that was the basis for  
10 approval in radioiodine refractory differentiated  
11 thyroid cancer, Study 303.

12 A total of 392 patients are randomized based  
13 on the certification factors and inclusion criteria  
14 shown here. Patients were randomized to treatment  
15 with either lenvatinib 24 milligram once daily or  
16 placebo until disease progression. The primary  
17 endpoint was progression free survival by  
18 independent assessment. Secondary endpoints  
19 included objective response rate and overall  
20 survival.

21 Treatment with lenvatinib significantly  
22 improved progression free survival with a hazard

1 ratio of 0.21 and a p-value of less than 0.001.  
2 Median PFS was 18.3 months in the lenvatinib arm  
3 compared with 3.6 months in the placebo arm.

4 Lenvatinib also significantly improved the  
5 overall response rate, which was 65 percent in the  
6 lenvatinib arm compared with only 2 percent in the  
7 placebo arm. Mostly, those were partial responses.  
8 It is, however, noteworthy that there were also  
9 4 patients with a complete response in the  
10 lenvatinib arm and none in the placebo arm.  
11 Overall, survival was a key secondary endpoint.

12 Neither treatment group had reached the  
13 median at the time of this analysis. Please note  
14 that patients in the placebo arm were allowed to  
15 crossover to lenvatinib upon disease progression.  
16 So we prespecified an analysis adjusting for the  
17 effect of crossover, which is shown here. With  
18 this, we achieved a hazard ratio of 0.62 with a  
19 p-value of 0.051. Without adjustment for  
20 crossover, the hazard ratio is 0.73 with a  
21 statistically nonsignificant p-value.

22 This overview provides some perspective from

1 safety relative to exposure. As you might expect,  
2 the longer patients stay on treatment, the more  
3 likely they are to experience an adverse event.  
4 The median duration of treatment in the lenvatinib  
5 arm was 16 months as opposed to just 3.9 on  
6 placebo, and the safety data place for lenvatinib  
7 represents 270 patient-years of exposure versus  
8 only 65 with placebo.

9           Serious adverse events occurred in  
10 53 percent of patients in the lenvatinib arm  
11 compared to 24 percent in the placebo arm. Fatal  
12 AEs occurred in approximately 8 and 5 percent  
13 respectively.

14           When we look at the rate of adverse events  
15 by duration of treatment, the rates between the two  
16 arms are fairly comparable. Adverse events leading  
17 to treatment discontinuation occurred in 18 percent  
18 of patients in the lenvatinib arm compared with  
19 5 percent in the placebo arm. Adverse events  
20 leading to dose reductions and/or dose  
21 interruptions occurred in 90 percent of patients in  
22 the lenvatinib arm compared to 19 percent in the

1 placebo arm.

2 Taken together, these data illustrate that  
3 the incidence of adverse events in the lenvatinib  
4 arm is partly a consequence of the longer treatment  
5 duration due to the efficacy of the drug and dose  
6 modifications allowed patients to stay on  
7 treatment.

8 The most frequently reported  
9 treatment-emergent adverse events occurring in  
10 greater than 30 percent of patients are shown here  
11 by grade. Hypertension, diarrhea, fatigue,  
12 arthralgia, decreased appetite, and weight loss  
13 were the most frequently reported adverse events.  
14 This safety profile is consistent with other VEGF  
15 receptor inhibitors.

16 Now, I would like to briefly discuss the  
17 rationale for combining lenvatinib with everolimus.  
18 As I showed you earlier, lenvatinib inhibits both  
19 the MAP kinase and mTOR signaling pathways  
20 downstream of VEGF and FGF receptors; and the  
21 everolimus inhibits the mTOR pathway.

22 These two pathways exhibit crosstalk at the

1 level of S6 kinase and S6 shown in blue.

2 Therefore, the combination of lenvatinib and  
3 everolimus should have additive or synergistic  
4 inhibitory effects on angiogenesis and tumor  
5 growth.

6 Based on this preclinical hypothesis and  
7 preliminary clinical data, we conducted a large  
8 randomized phase 2 trial, Study 205 in adult  
9 patients with renal cell carcinoma. Study 205  
10 compared lenvatinib plus everolimus with either  
11 lenvatinib alone or everolimus alone in patients  
12 with unresectable advanced or metastatic disease  
13 that have progressed following one prior VEGF or  
14 VEGF receptor-targeted therapy.

15 The daily dose of lenvatinib in the  
16 combination arm was 18 milligram compared with  
17 5 milligram of everolimus, whereas the control arm  
18 used a full approved dose for each drug.

19 The study completed enrollment in 2013 and  
20 the PFS endpoint was reached in June of 2014. The  
21 results of the primary analysis were presented at  
22 ASCO in June of this year. The primary endpoint

1 was PFS by investigator assessment. Key secondary  
2 endpoints were overall survival and overall  
3 response rate.

4 The combination of lenvatinib plus  
5 everolimus significantly improved progression free  
6 survival compared with either agent alone, meaning  
7 PFS was 14.6 months in the combination arm compared  
8 with 7.4 months of lenvatinib monotherapy and  
9 5.5 months with everolimus monotherapy.

10 Comparing the combination arm with  
11 everolimus monotherapy yielded a hazard ratio of  
12 0.4 and a p-value of 0.0005. Comparing lenvatinib  
13 with everolimus monotherapy yielded a hazard ratio  
14 of 0.61 and a p-value of 0.048. These results were  
15 independently confirmed by a retrospective, blinded  
16 radiology review. This showed a 72 percent  
17 concordance rate between the independent and the  
18 investigator assessment.

19 The assessment of tumor response by the  
20 investigator demonstrated a 43-percent response  
21 rate with the combination compared with 27 percent  
22 for lenvatinib alone and only 6 percent with



1 everolimus alone. One patient in the combination  
2 arm had a complete response compared with none in  
3 either monotherapy arm.

4 Notably, the median duration of response in  
5 the combination arm was 13 months compared with  
6 approximately 8 months in both monotherapy arms.  
7 These results were also confirmed by independent  
8 radiologic review.

9 An updated analysis showed that median  
10 overall survival was 25.5 months for the  
11 combination compared with 19 months for lenvatinib  
12 monotherapy and about 15 months for everolimus  
13 monotherapy. Comparing the combination arm with  
14 everolimus monotherapy yielded a hazard ratio of  
15 0.59, and comparing lenvatinib with everolimus  
16 monotherapy showed a hazard ratio of 0.75.

17 Regarding safety, the overall distribution  
18 of adverse events in the combination arm was  
19 similar to that of the two agents individually.  
20 Here, you can see all treatment-emergent adverse  
21 events occurring in at least 30 percent of  
22 patients, and the numbers are all percentages.

1           The incidence of grade 3 or 4 adverse events  
2 are broken out separately, and grade 4 events are  
3 shown in brackets. As you can see, there were very  
4 few grade 4 events. Among the most common AEs,  
5 only one patient in the lenvatinib monotherapy arm  
6 reported grade 3 hypercholesterolemia, which is the  
7 2 percent in the brackets.

8           Some adverse events were more frequent in  
9 the combination arm compared with the individual  
10 monotherapy arms, but diarrhea was the only  
11 symptomatic adverse event that was higher in the  
12 combination arm than in either monotherapy arm.

13           Now that you've seen the data from our adult  
14 program, the question for this committee is why  
15 should we study lenvatinib in pediatric cancer? I  
16 would like to share the rationale for investigating  
17 lenvatinib in pediatric malignancies and an  
18 overview of our comprehensive pediatric development  
19 program.

20           First, there is a clear unmet need in  
21 childhood cancers. Although majority of patients  
22 are cured with conventional approaches, there's a

1 large subset of patients, particularly those with  
2 sarcoma, who do relapse and become refractory to  
3 conventional therapy. There has been limited  
4 improvement in treatment outcomes over the past two  
5 decades.

6 Second, lenvatinib inhibits both VEGF and  
7 FGF receptor activity, and we know that both are  
8 relevant targets in pediatric cancers, particularly  
9 in sarcoma. We also know, from the literature,  
10 that other RTK inhibitors have consistently  
11 demonstrated activity in preclinical models of  
12 pediatric solid tumors.

13 With regard to the combination of lenvatinib  
14 with everolimus in pediatric cancer, we know from  
15 the literature that mTOR inhibitors have also  
16 demonstrated activity in pediatric tumors including  
17 sarcoma. We know that VEGF and FGF signaling  
18 cooperates with mTOR-mediated regulation of cell  
19 growth to drive development of pediatric tumors.

20 Targeting both pathways at the same time is  
21 a very attractive strategy. The combination of  
22 VEGF and mTOR pathway inhibitors may abrogate

1 several alternative signaling pathways, and this  
2 approach has shown promise in preclinical solid  
3 tumor models. Finally, we've shown that this  
4 combination is active and has a manageable safety  
5 profile in adult RCC patients.

6 Today, we'll share preclinical data that  
7 support investigation of lenvatinib in pediatric  
8 cancer, including data suggesting that the  
9 combination of lenvatinib with everolimus may have  
10 greater activity than either agent alone in  
11 relevant tumor models. Taken together, all of this  
12 evidence provides a compelling rationale for  
13 investigating lenvatinib in pediatric cancer.

14 Regarding the activity of lenvatinib in  
15 pediatric cancer models, we have observed activity  
16 across a number of pediatric tumor types when  
17 lenvatinib was combined with chemotherapy agent  
18 typically used in such tumor types. For example,  
19 in 305 pediatric osteosarcoma models tested, the  
20 combination resulted in better tumor growth  
21 inhibition compared with chemotherapy alone.

22 The addition of lenvatinib to chemotherapy

1 was generally well-tolerated in these animals as  
2 determined by changes in body weight. The studies  
3 were conducted as part of our pediatric  
4 investigational plan in Europe.

5 We've also data from two human pediatric  
6 sarcoma xenograft models in which we tested the  
7 combination of lenvatinib and everolimus. On the  
8 left is the A-673 human sarcoma model, and on the  
9 right is the G-292 osteosarcoma line that has  
10 amplification of the FGF receptor.

11 As you can see, the combination of  
12 lenvatinib and everolimus, which is the purple  
13 curve at the bottom of the graph, demonstrated  
14 greater anti-tumor activity than either agent alone  
15 in these models. The between group differences  
16 were all statistically significant.

17 In addition to these models, we have  
18 developed a comprehensive preclinical investigation  
19 plan in collaboration with COG investigators. We  
20 plan to investigate the combination as well as the  
21 single agent in both patient-derived and cell  
22 line xenograft models.

1           We will investigate a wide variety of tumor  
2 types relevant for the pediatric studies as shown  
3 on this slide. We've already initiated those  
4 discussions and have commitments from investigators  
5 as noted.

6           Given the observed activity in these  
7 preclinical models and the compelling rationale for  
8 pediatric development that I just reviewed, we have  
9 initiated a pediatric development program as  
10 outlined here.

11           We're currently conducting a phase 1 single-  
12 agent dose finding study. Once that is completed  
13 and we have determined the recommended phase 2  
14 dose, we plan to further investigate the anti-tumor  
15 activity of lenvatinib as monotherapy in  
16 combination with standard chemotherapy and in  
17 combination with everolimus.

18           The first phase of this program will be  
19 accomplished as part of our ongoing pediatric  
20 study, Study 207, being conducted in Europe in  
21 collaboration with ITCC. The second phase of this  
22 program will be accomplished in two proposed

1 studies with in collaboration with the Children's  
2 Oncology Group.

3 First, let me show you the design of the  
4 ongoing pediatric study known as 207 that was  
5 developed in collaboration with PITCO and ITCC to  
6 fulfill the requirements of our European PIP.

7 The first phase is a single-agent  
8 dose-finding study cohort in solid tumors using a  
9 continuous reassessment method. Starting dose of  
10 lenvatinib is 11 mg per square meter, which is  
11 80 percent of the adult flat dose of 24 milligrams.

12 Once the recommended phase 2 dose is  
13 established, there will be two phase 2 single-agent  
14 cohorts in differentiated thyroid cancer and  
15 osteosarcoma. Concurrently, there will be a dose  
16 finding, and then phase 2 cohort investigating  
17 lenvatinib in combination with ifosfamide and  
18 etoposide in osteosarcoma.

19 This study is enrolling patients age 2 to  
20 less than 18 years of age. EMA granted a waiver  
21 for children less than 2 years of age based on the  
22 findings from the juvenile rat toxicology study.

1           Based on the findings from our juvenile rat  
2 toxicology study and the safety profile of  
3 lenvatinib in our adult program, we will be  
4 carefully monitoring bone growth, cardiovascular  
5 events, diarrhea, hypertension, proteinuria, and  
6 renal function as indicated.

7           The first patient was enrolled in December  
8 of 2014. As of November 9th, we have enrolled 15  
9 patients and response data are available for the  
10 first 9. These include patients with a variety of  
11 sarcoma subtypes who are treated with 11, 14, and  
12 17 mg per square meter. Currently, 8 patients are  
13 ongoing in cycles 1 through 6 and 7 patients have  
14 discontinued because of either radiographic or  
15 clinical disease progression.

16           Among the 9 available patients, 5 have  
17 stable diseases, their best overall response by  
18 MRI, and 1 patient with paraganglioma had a  
19 complete metabolic response after cycle 2.

20           Preliminary safety data are available for  
21 13 patients. Reported adverse events were mostly  
22 grade 1 and 2, and there were no treatment-related



1 grade 3 or 4 adverse events.

2 Five patients experienced an SAE as shown  
3 here. As you can see, the majority of these events  
4 were related to disease progression with the  
5 exception of pneumonia in the patient with the  
6 undifferentiated sarcoma and a grade 4 colitis in  
7 the patient with Ewing sarcoma. However, those  
8 adverse events were not considered to be related to  
9 study drug by the investigators.

10 This is a draft study design for the two  
11 studies proposed in collaboration with the  
12 Children's Oncology Group. In the phase 1b study,  
13 in patients with recurrent or refractory solid  
14 tumors, including CNS tumors, the dose of  
15 lenvatinib will be escalated in combination with  
16 everolimus using a rolling 6 design. This will be  
17 followed by a phase 2 study in the tumor type shown  
18 here. The study will use a Simon 2-stage design.

19 In each tumor type, an initial cohort of  
20 patients will be enrolled, and if activity is  
21 demonstrated as evidenced by at least one objective  
22 response, an additional cohort will be added. The

1 study duration will be up to 24 months. The  
2 phase 2 study will also include a small descriptive  
3 cohort of 15 patients with thyroid cancer.

4 When our pediatric program is completed, we  
5 will have treated a total of up to 277 patients,  
6 including up to 69 patients with lenvatinib  
7 monotherapy in Study 207, up to 30 patients with  
8 lenvatinib plus chemotherapy again in Study 207,  
9 and up to 178 patients with lenvatinib plus  
10 everolimus in the proposed COG studies. The  
11 program will include up to 132 sarcoma patients and  
12 145 patients with other solid tumors, including CNS  
13 malignancies and thyroid cancer.

14 The results from our pediatric development  
15 program as just discussed should be sufficient for  
16 a written request. This program will provide an  
17 adequate safety database, and there will be  
18 sufficient data to be included in the prescribing  
19 information. There will also be sufficient  
20 activity data to allow COG to determine if a  
21 phase 3 survival trial is warranted.

22 In summary, lenvatinib is a novel receptor

1 tyrosine kinase inhibitor that has demonstrated  
2 impressive efficacy and a manageable safety profile  
3 in our adult program. We've shown that lenvatinib  
4 can be safely combined with everolimus, and this  
5 combination has promising anti-tumor activity in  
6 patients with advanced renal cell carcinoma.

7 We've also observed preclinical activity in  
8 pediatric osteosarcoma and other sarcoma models.  
9 That preclinical activity and the compelling  
10 scientific rationale served as the basis for the  
11 current pediatric development program, which  
12 includes an ongoing pediatric study and two  
13 proposed studies in collaboration with COG. That  
14 program will provide sufficient data to support a  
15 written request.

16 We are, therefore, seeking a written request  
17 from the FDA for pediatric development of  
18 lenvatinib in the United States, and we're  
19 interested to hear the committee's thoughts on our  
20 proposed pediatric program. Thank you very much  
21 for your attention. We look forward to your  
22 questions.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Clarifying Questions from Subcommittee**

DR. PAPP0: Thank you very much for your presentation. We will now take clarifying questions for the sponsor. Please remember to state your name for the record before you speak. And if you can, please direct questions to a specific presenter. Steve?

DR. DuBOIS: Steve DuBois. Thank you for that presentation. Did I see correctly a 7.7 percent fatal AE rate in the phase 3 thyroid cancer trial? That would be one question.

Then for the combination trial in renal cell carcinoma, two questions related to that. Do you think that there's a biomarker of response to the combination that might be relevant to incorporate into a pediatric trial?

Secondly, you showed that lower doses of both the everolimus and lenvatinib were used in combination compared with their single-agent full doses. What were the data leading to those doses?

DR. VOLIOTIS: Thank you. Let's start with the first question regarding the fatal adverse

1 events. We've collected the data on the fatal AEs  
2 from the study in differentiated thyroid cancer.  
3 Those individual adverse events are shown here.

4 We couldn't find a discernable pattern. As  
5 you can see here, the time point of occurrence of  
6 those fatal AEs ranges from 14 or 15 days after  
7 treatment initiation up to 460 days or 170, 140  
8 days.

9 The causes for the fatalities are very  
10 different. It's very difficult for us to find any  
11 particular pattern other than describing it. The  
12 only thing that is to say is that this is a very  
13 heavily pretreated patient population obviously.  
14 So we don't see a particular pattern or anything  
15 that would lead us to a conclusion for the cause of  
16 these adverse events.

17 The second question was regarding the  
18 biomarkers. At least, in the adult program in the  
19 differentiated thyroid cancer study, we looked at a  
20 variety of biomarkers. We were really not able to  
21 see a particular difference for a number of  
22 factors.

1 I would like to invite Dr. Sachdev to  
2 comment on the particular findings that we had with  
3 FGF 23 levels. But in regard to other biomarkers  
4 like VEGF circulating type 2, we couldn't see a  
5 particular selection criteria that would enable us  
6 to target a subpopulation here.

7 DR. SACHDEV: Thank you, Dr. Voliotis.  
8 Pallavi Sachdev, Eisai. As Dr. Voliotis referred,  
9 in our adult RRDC study, we did see substantial  
10 clinical benefit regardless of biomarker status.  
11 We evaluated a few genomic markers as well as  
12 proteomic markers, and irrespective of mutation or  
13 baseline levels of these markers, we saw  
14 substantial clinical benefit.

15 The data that I want to share with you here  
16 is knowing that FGF is a relevant target for  
17 lenvatinib, we evaluated the serum levels of FGF  
18 23, which is a surrogate pharmacodynamic marker for  
19 FGF R1 inhibition. For this graph that you're  
20 seeing here, in lenvatinib-treated patients, we saw  
21 an increase in FGF 23 levels at day 15 as well as  
22 cycle 2 day 1, which is 29th day after treatment.

1           This suggests that we are targeting FGF  
2           receptor in vivo at physiological concentration.  
3           We do not believe, as of now, we have a predictive  
4           marker for patient selection. But in the proposed  
5           studies and on the ongoing studies, we are  
6           collecting archival samples as well as blood  
7           samples to do retrospective evaluation of these  
8           markers, and we hope to continue to evaluate these  
9           markers for predictive markers.

10           DR. PAPP0: Alberto Pappo. I had a couple  
11           of questions --

12           DR. VOLIOTIS: I'm sorry. There was a third  
13           question. You asked the question about the dose in  
14           the combination being lower, 18 and 5. This was  
15           simply the result of our dose escalation program.  
16           The study that I showed, the 205 study in renal  
17           cell, included a dose escalation for the  
18           combination, and we simply experienced DLTs at  
19           higher dosages. So the feasible dose for the  
20           combination is 18 milligram for lenvatinib and 5  
21           for everolimus. That's what we then took forward  
22           in the phase 2 program.

1 DR. PAPPO: Alberto Pappo. I had a couple  
2 of quick questions. Going back to your survival  
3 curve on Study 303, the survival of these patients  
4 were only those patients that switched or that were  
5 crossed over to lenvatinib, or these are all  
6 patients?

7 DR. VOLIOTIS: This is intent to treat --

8 DR. PAPPO: All of them?

9 DR. VOLIOTIS: Yes.

10 DR. PAPPO: Okay. The other question I had  
11 for you is on the preclinical models where you did  
12 ifosfamide and etoposide with lenvatinib. Do you  
13 know what schedule of ifosfamide and etoposide was  
14 used? Was it the daily times five schedule that  
15 you regularly use to treat osteosarcoma or was this  
16 just one single dose habitual dose agent?

17 DR. VOLIOTIS: Dr. Bauer, could you comment  
18 on that?

19 DR. BAUER: Nancy Bauer, Eisai. The  
20 ifosfamide was dosed only on day 1 and the  
21 etoposide was dosed on days 2, 3 and 4 of the  
22 study. Lenvatinib was administered for 7



1 consecutive days, days 1 through 7.

2 DR. PAPPO: Then a couple of additional  
3 questions. Do you know if there's any effect of  
4 lenvatinib in wound healing? If you plan to use  
5 this eventually into some sarcomas that require  
6 surgery, is there a concern for wound healing?

7 DR. VOLIOTIS: This is an adverse event that  
8 has been looked at for many TKIs. We did look at  
9 impaired wound healing in the context of our  
10 studies, and this is the rate that we found.

11 You can see that we're really talking about  
12 single-digit numbers here. This includes not just  
13 patients from the renal cell cancer study but on  
14 the left side is all patients with differentiated  
15 thyroid cancer, including those from the phase 2  
16 and the phase 3 programs that have received  
17 lenvatinib. And the wound healing that we saw is  
18 minimal, I would say.

19 DR. PAPPO: The final question is, are there  
20 any preclinical studies showing the brain  
21 penetration of this agent?

22 DR. VOLIOTIS: We have conducted preclinical

1 experiment with radiolabeled lenvatinib given as a  
2 mono-dose in animals, and we observed a penetration  
3 of about 14 percent, meaning that 14 percent of the  
4 plasma level was also detectable in the central  
5 nervous system. So there's a modest penetration of  
6 the drug across the intact blood-brain barrier.

7 DR. PAPPO: Thank you. Anne?

8 DR. ANGIOLILLO: Hi. Anne Angiolillo.  
9 Thank you for your fine presentation. I just have  
10 a few quick questions that have already been  
11 answered. You had mentioned the concerns for bone  
12 growth.

13 I was wondering two questions. Could you  
14 comment on any concerns for puberty? Second, the  
15 diarrhea in the 303, what type of management was  
16 needed? I'm sorry. And the third question, how  
17 was it supplied? Does it come in different  
18 formulations?

19 DR. VOLIOTIS: I'm sorry. Could you repeat  
20 the last question, please?

21 DR. ANGIOLILLO: Puberty, diarrhea, and then  
22 the drug formulation availabilities.

1 DR. VOLIOTIS: Let's start with the diarrhea  
2 first, please. The majority of patients that  
3 experienced diarrhea in our adult clinical program  
4 had diarrhea of grade 1 and 2. We, in fact, had  
5 only one patient who discontinued due to diarrhea  
6 in the adult program in the renal cell cancer study  
7 in the combination arm. We had no patient who  
8 discontinued in the renal cell cancer study.

9 These diarrheas obviously occurred, but it's  
10 very manageable and [indiscernible], and with the  
11 studies that we conducted, this was part of the  
12 dose management and adverse management plan.  
13 Diarrhea was included in the individualized dosing  
14 that we applied to patients once they experienced  
15 certain adverse events and part of the adverse  
16 events management profile.

17 About 46, 47 percent of all patients in the  
18 renal cell cancer and the thyroid cancer study  
19 received some form of antidiarrheal medication.  
20 Half of them had received loperamide. The other  
21 half received some other symptomatic treatment.

22 Again, it occurs but it's manageable, and we

1 are able to keep patients on drug long enough so  
2 that they can experience the therapeutic benefit.  
3 The plan obviously is that we would implement the  
4 same dose management and antidiarrheal symptomatic  
5 management also in the pediatric studies.

6 The last question was regarding the  
7 pediatric formulation, correct?

8 DR. ANGIOLILLO: Puberty and effect.

9 DR. VOLIOTIS: Puberty, in terms of?

10 DR. ANGIOLILLO: Any information on any  
11 effects on secondary sexual development.

12 DR. VOLIOTIS: We have information on bone  
13 growth, which is a particular thing that has to be  
14 looked at in growing kids and adolescents  
15 obviously. Bone growth is a target effect  
16 essentially like with any other TKIs.

17 It is a reversible effect, and we are  
18 planning to include a careful bone growth  
19 management including height management and X-rays  
20 in those children that are undergoing treatment in  
21 the studies that includes follow-up after the  
22 treatment has stopped.

1           In the animal experiments and the toxicology  
2 experiments that we had, this is, again, a  
3 reversible effect. With the adverse event  
4 management and follow-up program that we have  
5 implemented, we think we are going to be able to  
6 see whether that's going to be a long-term issue.  
7 But again, we don't think so based on the  
8 availability of the tox data.

9           DR. ANGIOLILLO: How about additional sexual  
10 development, breast, that type of thing, testes --

11           DR. VOLIOTIS: Dr. Bauer, could you comment  
12 on the toxicology findings in terms of --

13           DR. BAUER: Nancy Bauer, Eisai. In the  
14 juvenile animal studies, we did see some effects on  
15 secondary development. These were attributed  
16 primarily to the marked body weight effects that  
17 were observed in the study.

18           The high-dose animals had very marked body  
19 weight loss and decreased body weight gain. We  
20 believe that any of the secondary effects that were  
21 observed were a result of that effect. Again, most  
22 of the effects that we have seen were reversible

1 and the animals, their body weight, once they were  
2 taken off study, did recover to a significant  
3 extent.

4 DR. RAETZ: Elizabeth Raetz. I was just  
5 wondering if you comment further on your rationale  
6 for the inclusion of the high-grade glioma  
7 patients, and then a little bit about the schedule  
8 of how the combination with everolimus, how the  
9 medications will both be administered.

10 DR. VOLIOTIS: Taking your first question  
11 first, the including of patients with glioma, we do  
12 not have, at this point, preclinical data. We do  
13 have clinical data. So we conducted a phase 2  
14 trial in adult patients with glioblastoma and  
15 malignant glioma, which included a randomization  
16 cohort against bevacizumab.

17 You can see here that in bevacizumab naïve  
18 GMB patients, we actually achieved a somewhat  
19 higher response rate compared to bevacizumab alone,  
20 also, some effect in high-grade glioma patients  
21 with an approximately 8-percent response rate.

22 Based on these clinical data, we think it is

1 appropriate enough to discuss with investigators to  
2 include also glioma patients in the pediatric  
3 protocol. This forms the basis for including those  
4 patients.

5 DR. RAETZ: Thank you.

6 DR. VOLIOTIS: And your second question?

7 DR. RAETZ: Just the other question is how  
8 are the medications both administered?

9 DR. VOLIOTIS: They're administered orally?

10 DR. RAETZ: And continuously for both?

11 DR. VOLIOTIS: Yes.

12 DR. RAETZ: Okay. Thank you.

13 DR. KIM: A quick question expanding on the  
14 combination of the everolimus -- I'm sorry; AeRang  
15 Kim from Children's National -- the combination of  
16 the two drugs together, were there pharmacokinetics  
17 done and were there any interactions between using  
18 the everolimus along with the lenvatinib?

19 Also, I noticed that there's a significant  
20 amount of dose reductions that were seen with the  
21 lenvatinib. Is there accumulative toxicity that  
22 ultimately required lower dosing?

1 DR. VOLIOTIS: In the combination trial, in  
2 the 205, the renal cell cancer trial, we did  
3 population PK analysis. There appears that there  
4 is about 18-20 percent, clinically probably not  
5 significant increase in AUC and Cmax for both  
6 drugs. We will include a detailed PK monitoring in  
7 the children's trial, in the trials that we plan to  
8 do with COG. At this point, this does not appear  
9 to be a problem.

10 The second question?

11 DR. KIM: I noticed that there were several  
12 dose reductions for patients that are on for  
13 prolonged time. Is there accumulative toxicity  
14 effect that you saw?

15 DR. VOLIOTIS: It's not so much accumulative  
16 toxicity but it's really important that we -- the  
17 patients are being monitored very closely for  
18 adverse events. You saw that adverse events that  
19 are occurring at a higher frequency. Any of these  
20 adverse events can lead to a necessity of a dose  
21 reduction.

22 We believe that we are able to really



1 individualize the dose for both the monotherapy and  
2 the combination by carefully monitoring those  
3 adverse events. So it's not so much accumulative  
4 toxicity; it's just the continuous monitoring of  
5 the adverse events. And once they achieve a  
6 certain grade, that would warrant a dose reduction  
7 that that is being done since the ultimate goal is  
8 really to keep the patients on the study drug or on  
9 the combination.

10 In both trials, we were able to do so very  
11 successfully so that patients actually experience  
12 also the therapeutic benefit of the drug. It works  
13 very well at this point.

14 DR. PAPPO: Greg?

15 DR. REAMAN: Could you just clarify the  
16 direct evidence that lenvatinib inhibits FGF as  
17 well as VEGF?

18 DR. VOLIOTIS: If you can go back to the  
19 slide from the main presentation, and I would also  
20 like to invite Dr. Sachdev to comment on that.

21 We have clear in vitro and in vivo evidence,  
22 as you can see here, that lenvatinib is a very

1 effective inhibitor of both VEGF and FGF  
2 receptor-driven angiogenesis, in vitro, the IC50  
3 shown in the box here and then in vivo, based on  
4 the models that we conducted on the right side of  
5 this slide.

6 Dr. Sachdev, could you further comment on  
7 the preclinical data please?

8 DR. SACHDEV: Thank you, Dr. Voliotis. As  
9 Dr. Voliotis has reviewed with you, lenvatinib  
10 targets the FGF receptors, and this is the in vitro  
11 and in vivo data. We have also evaluated  
12 lenvatinib in a model where FGF R1 is an amplified.

13 If I may have NC-45, please?

14 (Pause.)

15 Dr. Voliotis reviewed with you in his main  
16 presentation that lenvatinib activity was evaluated  
17 in osteosarcoma model. One of the models that it  
18 was evaluated in is the G292-clone. This is an  
19 FGF R1-amplified osteosarcoma cell line. This is  
20 the in vitro antiproliferative and antitumor  
21 activity.

22 If we can go to CP-27. We evaluated that in

1 a xenograft tumor model, and there, we showed that  
2 both the single agent lenvatinib, as well as the  
3 combination showed activity with a combination  
4 showing enhanced activity more than either of the  
5 single agent alone. The combination showed  
6 enhanced activity.

7 So we believe we're targeting the receptor,  
8 and we do have in vivo data.

9 MS. HAYLOCK: Pam Haylock. Looking at the  
10 adverse effects, it seems like a lot of them are GI  
11 or metabolism-related. I wondered if those things  
12 have long-term effects or if those are also  
13 considered reversible.

14 DR. VOLIOTIS: From our toxicology  
15 experience, those are reversible, and they're also  
16 reversible in our hands in the clinical studies  
17 that we have conducted. Once the treatment is  
18 being adjusted, either dose interrupted or the dose  
19 is modified or it has to be interrupted, the  
20 diarrhea stops.

21 MS. HAYLOCK: But also there's weight  
22 decrease, constipation, vomiting, nausea, decreased

1       appetite, and diarrhea.

2               DR. VOLIOTIS: Those are reversible side  
3 effects, at least from a clinical perspective.  
4 Nothing in the toxicology data indicates that this  
5 would be different.

6               MS. HAYLOCK: Okay.

7               DR. DuBOIS: Steve DuBois. As a follow-up  
8 question to Dr. Reaman's question, in the clinic  
9 are patients developing hyperphosphatemia, which  
10 has been reported as a pharmacodynamic marker of  
11 FGF R-inhibition.

12               Unrelated to that question, I wonder if you  
13 might share some of what you are doing either with  
14 single-agent therapy or the combination with  
15 everolimus in adult sarcoma indications.

16               DR. VOLIOTIS: In terms of the adults  
17 sarcoma trial, let's start with that first, we have  
18 included a number of sarcoma patients in the adult  
19 program in the phase 1, 2 dose escalation. This is  
20 7 patients in one trial, 17 patients in the other  
21 trial. If I could have that slide, please?

22               We saw mainly disease stabilization here.

1 We do not have a separate phase 2 trial at this  
2 point in adult sarcoma patients, so the data that  
3 we have from a clinical perspective comes from the  
4 dose escalation part. Again, it's altogether,  
5 24 patients.

6 We looked at calcium and phosphate.

7 (Pause.)

8 DR. VOLIOTIS: We do not have a slide on  
9 that. We did not systematically look at this. We  
10 know this is a postulated effect of the drug. We  
11 observed similar instances of hypocalcemia and  
12 hypophosphatemia. But at this point, again, we do  
13 not have a systematic database. This will part of  
14 the trials going forward to look at this little  
15 more carefully.

16 At least as far as clinical side effects  
17 from a clinical perspective, this did not appear to  
18 be a major side effect. We did not pick it up in  
19 our adverse event monitoring profile in the  
20 studies. We will be looking at this a little more  
21 carefully going forward, including in the pediatric  
22 program.

1 MS. WEINER: Susan Weiner. My question  
2 really is a follow-up to an earlier one that has to  
3 do with the GI symptoms. Though the GI symptoms  
4 themselves may be reversible, I guess it would,  
5 from a family's perspective, really be worth  
6 looking at whether or not they affect growth rate  
7 and whether or not the growth rate itself is  
8 impaired.

9 DR. VOLIOTIS: Again, the growth rate, in  
10 the absence of having really comprehensive clinical  
11 data in children, I cannot comment on the growth  
12 rate. I can comment on the diarrhea incidences,  
13 and I'll show you a little more detail here so that  
14 you can see the diarrhea incidences that we had in  
15 the monotherapy and the combination program.

16 As already mentioned, diarrhea was mainly  
17 grade 1 and 2. We had much less grade 3 and 4  
18 adverse events. This is the data set here from the  
19 monotherapy trial, and you can see here that we had  
20 in the monotherapy differentiated thyroid cancer  
21 trial, 9 percent grade 3 diarrhea.

22 As already mentioned, we did not have to

1       discontinue a single patient in the trial with  
2       monotherapy in thyroid cancer. Again, with the  
3       appropriate dose management, we are able to keep  
4       patients on study drug.

5               The same effect, we also saw in the  
6       combination trial in the renal cell cancer trial.  
7       We have about 19 percent, 20 percent grade 3  
8       diarrhea, and only 1 patient had to be discontinued  
9       permanently. So with the appropriate dose  
10      management and symptomatic treatment and dose  
11      interruptions, once patients get back on drug,  
12      we're able to keep them on drug.

13             In terms of growth effects, what we looked  
14      at was body weight. When looking at body weight  
15      over the course of treatment in the thyroid cancer  
16      trial in the monotherapy trial, it was actually  
17      very stable. We couldn't see a major effect here  
18      in terms of how diarrhea impacted on body weight  
19      over the course of the treatment.

20             These are the data that we have that would  
21      correlate a GI symptom like diarrhea, for example,  
22      to something like body weight. It does not appear

1 to be, over the course of the trial, an effect that  
2 leads to a major deterioration in body weight.

3 DR. PAPPO: Alberto Pappo. I had another  
4 question. On the thyroid carcinoma trial, do you  
5 know which tumors responded? Do you know if they  
6 were type 4 BRAF or RET re-arrangements and is  
7 there a signal? Because pediatric thyroid cancer  
8 is different from adult thyroid cancer; they don't  
9 have BRAF mutations, they usually have RET  
10 re-arrangements; or is it just inhibits everything  
11 and everybody responds?

12 DR. VOLIOTIS: We did look at BRAF and NRAS,  
13 KRAS in the tumors. There was no particular  
14 difference when looking at those factors. Those  
15 are baseline archival tumor biopsies, so we do not  
16 have fresh biopsies from baseline of treatment.  
17 But in terms of what we had available from the  
18 phase 3 program, there was no difference.

19 DR. PAPPO: Mark?

20 DR. KIERAN: Mark Kieran, Dana-Farber. I'm  
21 still trying to get my head around exactly what the  
22 target is. It's a drug that seems to have multiple



1 targets simultaneously, and you've kind of isolated  
2 out FGF and VEGF as the primary targets.

3 But it's PDGF alpha, which is also present  
4 in a number of different tumor types, rat,  
5 et cetera. Actually, in the documentation, there  
6 were even more than were listed on the slide.

7 How does one really know what you're going  
8 after in terms of how one chooses intelligently the  
9 right patient to go on this trial?

10 DR. VOLIOTIS: Well, that's certainly the  
11 million-dollar question. It is definitely -- what  
12 we can say, as already shown in the previous slide,  
13 it's very effective in terms of inhibiting VEGF and  
14 FGF receptor-driven angiogenesis and tumor growth.

15 At this point, in the absence of a  
16 biomarker, we would simply go after tumor types  
17 where it has been shown that those kind of drugs  
18 have a particular effect; for example, thyroid  
19 cancer, renal cell carcinoma, and I already  
20 mentioned that we're looking into the phase 3 trial  
21 in hepatocellular carcinoma. These are tumor types  
22 that in the past with other drugs have shown to be

1 particularly good for isolating the effect here.

2           Again, we are going to further look into  
3 tumor types primarily rather than isolating  
4 individual patient populations across different  
5 tumor types. There is not very good biomarker for  
6 lenvatinib or any other TKI at this point, so it's  
7 really difficult to say.

8           We do think, however, that with the  
9 combination that we have, the enhanced efficacy  
10 with combining the TKI with the mTOR inhibitor,  
11 that we're also going to be able to look further  
12 into more tumor types.

13           So the short answer to your question is we  
14 will have to use purely clinical selection  
15 criteria.

16           DR. KIERAN: I'm somewhat surprised by the  
17 toxicity profile both of the drug and the  
18 combination. For example, the toxicity profiles  
19 that have been reported with other TKIs for VEGF  
20 inhibition have had very significant rates of  
21 severe hypertension, wound healing, diarrhea that  
22 really brought many of those studies to their

1 knees.

2           So I'm surprised that with such good VEGF  
3 inhibition, you compared it to sorafenib, which we  
4 could debate whether that's exactly what that drug  
5 is in anyway; if you compared it some of the more  
6 traditional targeted small molecule inhibitors of  
7 VEGF. I guess I'm surprised that you're not seeing  
8 the kinds of toxicities that one would expect for  
9 VEGF inhibition, which again raises the question  
10 about are we sure about the target?

11           The same would be true for everolimus. Most  
12 people would see a good 20, 25 percent of severe  
13 hypocholesterolemia just based on the genetic  
14 polymorphism associated with the use of that  
15 compound that you don't seem to be seeing in your  
16 cohort.

17           DR. VOLIOTIS: In the combination, we did  
18 see hypercholesterolemia in the 205 study when  
19 combining lenvatinib with everolimus, so we did see  
20 that.

21           In terms of how to really segregate,  
22 separate the different drugs by their adverse

1 events, I think at the end of the day, it really  
2 depends on the particular on-target profile. This  
3 is a unique drug in that it really targets VEGF and  
4 FGF in a particular way, in a way that other TKIs,  
5 like for example sorafenib, don't do.

6 We are very much convinced that the effect  
7 that we see that is VEGF and FGF-driven, the  
8 clinical effects that we see, whether it's renal  
9 cell carcinoma or differentiated thyroid cancer,  
10 are really also the preselected tumor types that we  
11 have seen a lot of activity with other TKIs. We do  
12 think with the data that we have looked better  
13 because this is a better inhibitor for both VEGF  
14 and FGF.

15 The spectrum of the adverse events -- going  
16 back to the very beginning of your question, the  
17 spectrum of the adverse events is relatively  
18 similar. We do see hypertension; we see diarrhea;  
19 we also see hand-foot skin syndrome.

20 The incidence for these particular adverse  
21 events is different across the different drugs.  
22 Sorafenib, for example, has much more hand-foot

1 skin reaction. This has to do with the way that  
2 the individual drugs target the particular pathways  
3 and the strength of the inhibition of the  
4 receptors.

5 They are targeting similar targets, similar  
6 receptors, but individually, there are differences  
7 between them. We think this is why they have, for  
8 individual adverse events, a slightly different  
9 profile. But if you look across the board, those  
10 are very much also the AEs that have been reported  
11 with other TKIs.

12 DR. KIERAN: One last question. The data  
13 that you showed for the adult gliomas, one of the  
14 questions about the VEGF inhibitors in the context  
15 of the CNS tumors is whether there really just  
16 antiedema agents and not antitumor agents at all.  
17 Do you know whether you believe any of this is  
18 actually antitumor or is this just a different type  
19 of steroid?

20 DR. VOLIOTIS: This is certainly not --

21 DR. KIERAN: Acting like a steroid.

22 DR. VOLIOTIS: Yes, I know what you mean.

1 We simply don't have data for that. I cannot  
2 speculate. I think we have seen clear evidence of  
3 efficacy. I think a 20-percent response rate in  
4 comparison with bevacizumab is a good starting  
5 point. I think that's good evidence, phase 2  
6 evidence, to get going on that. I think from that  
7 perspective, the clinical data would clearly  
8 justify that.

9 In terms of what we will see in the  
10 preclinical experiments, you saw that we're trying  
11 to really conduct quite a number of preclinical  
12 experiments, including glioma, so we'll be able to  
13 hopefully see something better there. But right  
14 now, the database that we have is primarily  
15 clinical.

16 DR. DuBOIS: Steve DuBois. To follow on  
17 Dr. Kieran's question about toxicity, we've talked  
18 a lot about thyroid but more in the sense of  
19 thyroid carcinoma and efficacy. But often as a  
20 class effect, there's hypothyroidism, and that may  
21 be a little bit difficult to assess in your phase 3  
22 randomized trial. But in other patient

1 populations, have you seen much hypothyroidism?

2 DR. VOLIOTIS: Yes, we did look at this, and  
3 we have the data available for you. Again, you  
4 will see that when comparing this with other drugs,  
5 this is very much in the range of what have been  
6 observed.

7 We had an incidence of hypothyroidism  
8 ranging from 5 percent in the DTC patients to  
9 17 percent in the non-DTC monotherapy patients and  
10 up to 37 percent in the renal cell cancer  
11 population with the combination.

12 As you already mentioned, this is a known  
13 class effect. At this point, it's unclear what the  
14 mechanism of action is. It's likely also related  
15 to VEGF inhibition in terms of regression of  
16 thyroid capillaries.

17 But if we can have the overview, please, of  
18 the hypothyroidism with the other agents? Our  
19 drug, lenvatinib, is actually on the lower end of  
20 the scale, so there are other agents that have  
21 reported a frequency in incidence of up to  
22 80 percent or even higher with hypothyroidism as

1 you can see here on this slide. So we're very much  
2 in range and actually, again, on the lower end of  
3 that scale.

4 DR. DuBOIS: Thank you.

5 DR. KIM: Just a quick question on the  
6 proposed pediatric drug study design. You  
7 mentioned a number of cohorts for the stage 2  
8 design. Can you comment a little bit on what  
9 you're going to be looking in terms of outcome data  
10 for those patient populations? I think the  
11 objective response rate is pretty remarkable in  
12 your adult studies.

13 The second question is would there be any  
14 role for other soft tissue sarcomas, not including  
15 just rhabdos, as that pathway is important in  
16 several other pediatric type soft tissue sarcomas  
17 such as synovial aSPS and MPNSTs?

18 Thirdly, in the thyroid population, is there  
19 any role for other thyroid carcinomas such as  
20 papillary or medullary? It seems like some of the  
21 targets also inhibit those.

22 DR. VOLIOTIS: In terms of the design of the



1 phase 2 studies and the indication that you  
2 mentioned, this is the overview of the phase 2 plan  
3 that we have in the discussed pediatric 207  
4 program.

5 The slide that you just showed; I'm sorry.  
6 The COG trial. So we're planning to include  
7 patients with osteosarcoma, patients with Ewing  
8 sarcoma or rhabdomyosarcoma, as well as high-grade  
9 glioma.

10 The design for the cohort for the phase 2  
11 trial that we propose, again, is by cohorts. It is  
12 assignment stage 2 design, which means that we  
13 enroll approximately 10 patients. Once we see an  
14 objective response, we're going to enroll  
15 additional patients in the range of 10 to 15  
16 patients again.

17 With this kind of design, we are able to  
18 detect a difference in response rate of about 20.  
19 So with 5 percent being the lower bound, we would be  
20 able to detect a 25 percent or higher response rate  
21 with a 90 percent power. The same is true for the  
22 other cohorts when using the endpoint of PFS rate

1 at 4 months.

2 In terms of other tumors that we'll be  
3 enrolling in the clinic, this is from the ongoing  
4 207 study. And again as already shown in the  
5 presentation earlier, this is primarily going to be  
6 focusing on osteosarcoma, as well as DTC.  
7 Osteosarcoma is monotherapy as well as in  
8 combination with chemotherapy.

9 In terms of whether we saw the kind of  
10 responses that we saw in other tumor types within  
11 thyroid cancer, we have limited data in anaplastic  
12 thyroid cancer where the drug seems to be very  
13 active. We're currently running -- or we're  
14 working with investigators on investigator-  
15 initiated trial in anaplastic thyroid cancer.

16 In terms of activity in medullary thyroid  
17 cancer, we included a smaller number of patients,  
18 about 10, in the phase 2 studies, and we could not  
19 see their particular activity. We don't think  
20 that's going to be good target.

21 These are here the data from the phase 2  
22 program where you see the different thyroid cancer

1 tumor types.

2 DR. PAPP0: One last question. Mark?

3 DR. KIERAN: I was struck by the absence of  
4 tumors that are known to be VEGF for which  
5 prognostic information is available, neuroblastoma  
6 being the classic example where you can actually  
7 predict outcome just based on the VEGF expression  
8 within the tumor diagnosis.

9 Is a tumor like neuroblastoma, which is one  
10 of the more common pediatric tumors, excluded  
11 because your preclinical data suggested it wasn't  
12 good or is there another reason?

13 DR. VOLIOTIS: This is, right now, just  
14 simply focusing on those where we have some  
15 knowledge about where we think there is either  
16 clinical or preclinical reason to believe that it  
17 would make sense.

18 But we're very happy to discuss with  
19 investigators with COG, potentially starting with  
20 preclinical evaluation. At this point, simply, we  
21 focused on the data that we had available where we  
22 could justify, either from a clinical or

1 preclinical perspective, to include them. Those,  
2 we don't have for neuroblastoma so it would be the  
3 subject of further clinical or preclinical  
4 investigation. We're certainly open to discuss  
5 those.

6 **Questions to the Subcommittee and Discussion**

7 DR. PAPP0: Thank you very much.

8 We're done with the questions. We're going  
9 to move on. We do not have any registrants for the  
10 open public hearing portion of this session, so we  
11 will proceed directly to the questions to the  
12 committee.

13 We will now proceed with the questions to  
14 the committee and panel discussions. I would like  
15 to remind public observers that while this meeting  
16 is open for public observation, public attendees  
17 may not participate except at the specific request  
18 of the panel.

19 Now, Dr. Leigh Marcus will read the first  
20 question.

21 DR. MARCUS: Leigh Marcus. Given the  
22 juvenile animal toxicity studies, what specific

1 short-term on-therapy and long-term monitoring plan  
2 should be considered in trials incorporating  
3 lenvatinib?

4 DR. PAPPO: If there are no questions or  
5 comments concerning the wording or the question, we  
6 will now open the question for discussion.

7 MS. WEINER: This is Susan Weiner. I'm  
8 repeating my comment about monitoring growth rate  
9 over the long term if these are kids are surviving.

10 DR. PAPPO: Steve?

11 DR. DuBOIS: Steve DuBois. I had asked my  
12 question about the rates of thyroid toxicity  
13 specifically because the safety monitoring slide  
14 for the pediatric program did not include thyroid  
15 monitoring, which I think would be really  
16 essential.

17 The other toxicity that's been seen  
18 relatively early after initiation of anti-VEGF R2  
19 TKIs in the pediatric population have been  
20 decreases in the left ventricular ejection  
21 fraction. I note that the initial post-therapy  
22 echocardiogram takes place only after 16 weeks,

1       which may be a little bit long before looking at  
2       the first echocardiogram post initiation of  
3       therapy.

4               DR. PAPPO: With regards to the safety  
5       monitoring in the pediatric program, there is a  
6       slide that includes all of the safety parameters  
7       that are going to be included. Does the panel feel  
8       comfortable monitoring bone growth exclusively with  
9       X-rays?

10              There's some data to suggest that the  
11       changes are much earlier, and you can visualize  
12       them with MRI, although I don't know what the  
13       significance of that is. But does the panel feel  
14       comfortable just using X-ray or do you think we  
15       need to do something else?

16              MS. HAYLOCK: Pam Haylock. I think it's  
17       bigger than just bone changes and bone growth. It  
18       just seems like there's some metabolic things that  
19       happen, especially with the appearance. I think  
20       proteinuria was mentioned in one thing, and you  
21       brought up the issue of wound healing. It seems  
22       like there's something that's happening with

1 ingestion, so I don't know if it's nutritional.

2 The other point that I keep thinking about,  
3 at least in the adult population, weight loss is a  
4 significant poor prognostic factor. I don't know  
5 what the degree of weight loss is in this that's  
6 important. In a small child, weight loss of a few  
7 pounds could be significant.

8 DR. KIERAN: I think you raised exactly kind  
9 of one of the important issues. Most of those side  
10 effects are minor side effects that are probably  
11 associated with VEGF on target, certainly the  
12 effects on thyroids, certainly the nausea, the  
13 diarrhea, the weight loss, that kind of stuff. The  
14 bone growth is a good question.

15 Again, I don't think we have enough data yet  
16 in pediatrics to really understand this, and  
17 obviously it's something that hasn't been  
18 well-studied in adults although the preclinical  
19 models have shown that most of the changes that you  
20 see are reversible.

21 You tend to pick them up not on X-ray; you  
22 do tend to pick them up on MRI scan, so it's a good

1 point in terms of it would be good for us to better  
2 understand the process if you had an MRI of a  
3 growth plate as really the form of analysis for  
4 this.

5 DR. KIM: For many of our other phase 1 TKI  
6 inhibitor studies that have VEGF inhibition, I  
7 think the difficulty with proposing MRIs have been  
8 cost and also for many of our young children that  
9 are the ones that require it that have open grown  
10 plates, the addition of required sedation on top of  
11 that. I think if there were changes --

12 Many of the studies, what we've done is  
13 looking at X-rays, and if there are changes  
14 present, then going on to further evaluations with  
15 MRIs. One of the other problems with a lot of the  
16 phase 1 therapies is that the patients have not  
17 enrolled in long enough to really follow a long-  
18 term follow-up in terms of bone growth.

19 So it would be interesting in looking at  
20 some of the other diseases where patients have  
21 received TKIs for much longer to see what the long-  
22 term outcome would be on bone growth for young



1 patients.

2 DR. REAMAN: I was basically going to say  
3 the same thing or similar, that these reversible  
4 changes seen in the preclinical studies are  
5 reversible because the drug has been discontinued.  
6 But if we envision that this is going to  
7 demonstrate activity, then we assume patients are  
8 going to be on this for a much longer period of  
9 time.

10 I think whatever monitoring is conceived of  
11 is something that is both -- have to look at the  
12 short-term monitoring as well as long-term  
13 monitoring, and particularly for bone growth  
14 abnormalities.

15 DR. ANGIOLILLO: Anne Angiolillo. Just to  
16 add to that, I think in the adolescent or  
17 prepubescent following of the whole hypothalamic  
18 pituitary axis with the secondary sex  
19 characteristics and those hormones, just to  
20 consider a testing along with thyroid.

21 DR. PAPPO: If I can summarize -- I'm sorry.  
22 Susan goes next.

1 MS. WEINER: Susan Weiner. One more  
2 comment, and that is since these are recurrent or  
3 refractory patients and they're heterogeneous with  
4 respect to diagnosis, it would seem to me that  
5 keeping track of their prior history, in particular  
6 whether or not includes RT for the cancer site,  
7 would be important because there may be  
8 interactions between the prior cancer treatment and  
9 the current regimen that's being investigated.

10 DR. PAPPO: Any additional comments?

11 (No response.)

12 DR. PAPPO: If I can summarize, the panel is  
13 interested in being sure that the company considers  
14 monitoring of the growth rate in the long term in  
15 patients that enroll in the study, to monitor for  
16 thyroid toxicity, to be sure to include the  
17 evaluation of left ventricular function a little  
18 bit earlier than, I believe it was, week 16.

19 There are concerns about proteinuria, wound  
20 healing, and weight loss, and we recommend that  
21 they are monitored closely. The issue of using MRI  
22 to evaluate growth plates was brought up. I don't

1 think there was a consensus, but it's something  
2 that perhaps could be considered.

3 In adolescents, to be sure to include  
4 markers not only for thyroid function but for  
5 sexual development, and then also to incorporate  
6 into the history of patients that are included in  
7 the study, track back what prior therapy they'd  
8 received, specifically radiotherapy, to try to  
9 identify some potential interactions with this  
10 thyroid kinase inhibitor.

11 Did I summarize everything okay or did I  
12 miss anything?

13 (Affirmative nods from the committee.)

14 DR. PAPPO: Okay. We will now proceed to  
15 the second question, and Leigh will read it.

16 DR. MARCUS: Leigh Marcus. Given the  
17 observed synergy with lenvatinib and the mTOR AKT  
18 pathway inhibition, please comment if there are  
19 other possible synergistic combinations of targeted  
20 agent or specific pathway inhibition that should be  
21 evaluated as potentially relevant in pediatric  
22 cancers.

1 DR. PAPPO: Comments? Steve?

2 DR. DuBOIS: Steve DuBois. I'm always a  
3 champion for IGF 1R inhibition in pediatric  
4 sarcomas, so thinking about combination approach  
5 with IGF 1R, either monoclonal antibody or small  
6 molecular inhibitor may be worth consideration.

7 DR. KIERAN: There's a lot of adult kind of  
8 data, some of it conflicting, so I'm not sure it's  
9 a specific recommendation. But sometimes it's a  
10 good idea to inhibit two parallel pathways to  
11 prevent escape, sometimes because your first drug  
12 never inhibits completely, adding a second  
13 inhibitor into the pathway.

14 A MEK inhibitor in this case might be the  
15 obvious choice to see whether or not you can really  
16 kind of shut down that signaling cascade and would  
17 at least be probably worthy in the preclinical  
18 models to maybe address some of those to see  
19 whether that's an appropriate to go forward as  
20 well.

21 DR. PAPPO: So as far as additional  
22 combinations that perhaps could be studied or

1 should be proposed in combination with lenvatinib  
2 would be inhibition of the IGF 1R pathway, either  
3 through a monoclonal antibody or a small molecule,  
4 and also consider MEK inhibition.

5 DR. DuBOIS: To clarify, I wasn't proposing  
6 that necessarily for the clinic for preclinical --

7 DR. PAPP0: Preclinical studies?

8 DR. DuBOIS: Preclinical evaluation in  
9 pediatric-relevant tumors.

10 DR. PAPP0: Perfect. We will now move to  
11 the third question.

12 DR. MARCUS: Leigh Marcus. Please discuss  
13 the need for pediatric-appropriate oral formulation  
14 of lenvatinib and a reasonable timeline and  
15 potential obstacles with development.

16 DR. RAETZ: This is Elizabeth Raetz. One of  
17 the concerns is with all the GI toxicity, I don't  
18 know what pediatric formulation is envisioned, but  
19 it may be very difficult for children to tolerate  
20 if they already have a lot of poor appetite,  
21 nausea, vomiting, diarrhea. So that may be a  
22 consideration.

1 DR. REAMAN: I think the other issue is that  
2 is currently a capsule formulation, which is going  
3 to preclude its use in children probably under the  
4 age of 6. Are there plans to actually develop a  
5 solution? There are, I assume. And are there food  
6 effects that really need to be evaluated with a  
7 different formulation that might be used in the  
8 studies?

9 DR. PAPPO: Any other comments or questions?

10 (No response.)

11 DR. PAPPO: I think regarding question  
12 number 3, one of the considerations should be that  
13 potential GI toxicity of this drug when a pediatric  
14 formulation is developed, given the fact that there  
15 can be other concomitant or comorbidities such as  
16 poor appetite and decreased weight gain or weight  
17 loss.

18 The second one is the current way that this  
19 drug is available is through capsule; so I think  
20 it's a 24-milligram or a 10-milligram, and are  
21 there any plans to develop a solution. We assume  
22 that there are; and also to study food effects when

1 this formulation is given to younger patients.

2 We will now go to the -- I'm sorry. There's  
3 one more thing.

4 DR. MORROW: PK. I just wanted to comment.  
5 I think that the company had looked at dissolving  
6 the capsule on apple juice, and it worked okay with  
7 children -- or with adults.

8 DR. PAPPO: Okay. So that's already been  
9 looked at, okay. They're going to have to develop  
10 a specific dosing based on surface area or  
11 anything, but they're going to have to figure that  
12 out.

13 For the final question -- Greg, has more  
14 questions --

15 DR. REAMAN: I think one other thing is  
16 since there is such a high incidence of GI  
17 problems, making sure that whatever oral solution  
18 is used doesn't adhere to NG tubes and interfere  
19 with bioavailability. Again, in younger children,  
20 that's something that will require evaluation,  
21 preferably in healthy adults first, of course.

22 DR. PAPPO: We will now move to the last

1 question.

2 DR. MARCUS: Leigh Marcus. I think  
3 Dr. Kieran talked a little bit about this, but we  
4 can open it up again. Please discuss the  
5 importance of evaluation of the CNS pharmacology of  
6 lenvatinib and the consideration of its assessment  
7 in primary CNS tumors.

8 DR. PAPPO: If there are no questions or  
9 comments concerning the wording or the question, we  
10 will now open this question for discussion. Mark,  
11 you're the obvious --

12 DR. KIERAN: I mean obviously, one of the  
13 issues in the VEGF inhibition is it's not clear  
14 that you need to penetrate the CNS obviously  
15 because you might argue that the target is  
16 actually -- if it's on the luminal side, then it  
17 already has access just by being in the  
18 bloodstream. Whether that's accurate in many  
19 studies that have looked at VEGF expression of  
20 particularly adult gliomas, where it was mostly  
21 done, sometimes the VEGF is on the abluminal, not  
22 on the luminal side, so that may not be absolutely



1 true.

2           You do have some data. I think you showed  
3 14 percent relative to serum. You didn't say  
4 whether or not, for example, those brains had had  
5 all of the blood removed, so that it was true  
6 CNS -- parenchymal penetration as opposed to just  
7 there's a whole bunch of blood in the brain and  
8 that that accounts for the 14 percent.

9           So it would be important to know those  
10 things. But to some extent, your preclinical model  
11 should be able to answer this question, and I think  
12 that's what will drive the direction forward.

13           DR. PAPP0: Any concerns as far as enrolling  
14 glioma patients in the study without having  
15 adequate preclinical data or extensive preclinical  
16 data?

17           DR. KIERAN: It was fascinating that you did  
18 an adult trial, but you don't have any preclinical  
19 data for gliomas. Clearly, something led you down  
20 that pathway. Again, I'm still a little confused  
21 about exactly what the target is given all -- we  
22 know PDGF alpha, for example.

1 PDGF alpha is a critical component of the  
2 parasites in the central nervous system, and this  
3 has good activity against that target. That's why  
4 I wouldn't base it all on just VEGF.

5 Whether or not the VEGF effect is really  
6 related to antiedema and not anti-tumor, but you  
7 may have other components that actually are anti-  
8 tumor -- the fact that you're starting to see some  
9 responses in adults, and if that program continues  
10 to move forward and you can show  
11 those -- obviously, human beings are the best  
12 animal model we've got. And given how poor the  
13 prognosis is, I find the animal models,  
14 particularly the orthotropic ones where you are  
15 basically cutting open the brains, sticking in  
16 cells, there's breakdown of all of the normal  
17 stuff. It would be very hard to predict based on  
18 those kinds of experiments as the sole determinant  
19 of going forward. So I think your human data is  
20 almost the strongest component of that part of the  
21 rationale.

22 DR. PAPPO: Any other comments about

1 question number 4?

2 (No response.)

3 DR. PAPP0: So if I can summarize this, I  
4 think that the panel would be very interested in  
5 additional preclinical studies better elucidating  
6 the CNS penetration and how you measure CNS  
7 penetration using this drug. Anything else? Maybe  
8 look at PDGFR inhibition or no?

9 DR. KIERAN: No. I forgot when I looked at  
10 the original document, there were like 20 plus  
11 potential targets, and you can't separate them all  
12 or analyze them all. And to some extent, I don't  
13 think that's going to be the determinant of  
14 activity anyway, so I wouldn't say that should be  
15 required.

16 DR. PAPP0: Okay. Any additional comments  
17 or questions, even back to question number 1, 2, 3  
18 or 4?

19 (No response.)

20 **Adjournment**

21 DR. PAPP0: We will now adjourn the meeting.  
22 Panel members, please remember to drop off your

1 name badge at the registration table on your way  
2 out so that they may be recycled, and thank you  
3 very much for attending this meeting.

4 (Whereupon, at 12:15 p.m., Session 2 of the  
5 meeting was adjourned.)

6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22