

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

PSYCHOPHARMACOLOGIC DRUGS  
ADVISORY COMMITTEE (PDAC) MEETING

Morning Session

Wednesday, February 3, 2016

8:03 a.m. to 11:58 a.m.

FDA White Oak Campus  
Building 31, The Great Room  
White Oak Conference Center  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Kalyani Bhatt, BS, MS**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7  
8 **PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE**

9 **MEMBERS (Voting)**

10 **Thomas A. Grieger, MD**

11 Maryland Department of Health and

12 Mental Hygiene

13 Thomas B. Finance Center

14 Cumberland, Maryland

15  
16 **David Pickar, MD**

17 *(Acting Chairperson)*

18 Adjunct Professor of Psychiatry

19 Johns Hopkins Medical School

20 Uniformed Services University of Health Sciences

21 Gabriel Sciences, LLC

22 Chevy Chase, Maryland

1     **Murray B. Stein, MD, MPH**

2     Professor of Psychiatry and Family &  
3     Preventive Medicine  
4     Anxiety & Traumatic Stress Disorders Program  
5     Department of Psychiatry  
6     University of California San Diego  
7     La Jolla, California

8

9     **TEMPORARY MEMBERS (Voting)**

10    **Dwight Dickinson, PhD, JD**

11    Staff Scientist  
12    Psychosis and Cognitive Studies Section  
13    Clinical and Translational Neuroscience Branch  
14    National Institute of Mental Health (NIMH)  
15    National Institutes of Health (NIH)  
16    Bethesda, Maryland

17

18

19

20

21

22

1     **Jennifer Higgins, PhD**

2     *(Acting Consumer Representative)*

3     Director

4     Compliance and Quality Assurance

5     Center for Human Development

6     Springfield, Massachusetts

7

8     **Charles Hinkin, MD**

9     Professor-in-Residence

10    Department of Psychiatry and

11    Biobehavioral Sciences

12    University of California Los Angeles School

13    of Medicine

14    Los Angeles, California

15

16    **Dawn Ionescu, MD**

17    Assistant in Psychiatry

18    Depression Clinical and Research Program

19    Massachusetts General Hospital

20    Instructor, Harvard Medical School

21    Boston, Massachusetts

22

1     **Francis J. McMahon, MD**

2     Chief

3     Human Genetics Branch

4     Intramural Research Program

5     NIMH, NIH

6     Bethesda, Maryland

7

8     **Rajesh Narendran, MD**

9     Attending Psychiatrist

10    Resolve Crisis Network

11    Western Psychiatric Institute and Clinics

12    Associate Professor in Radiology and Psychiatry

13    Psychiatric Molecular Imaging Program

14    University of Pittsburgh

15    Pittsburgh, Pennsylvania

16

17    **Natalie Compagni Portis, MFT, PsyD**

18    *(Patient Representative)*

19    Oakland, California

20

21

22

1     **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2     **(Non-Voting)**

3     **Robert R. Conley, MD**

4     *(Acting Industry Representative)*

5     Global Development Leader and

6     Distinguished Lilly Scholar, Neuroscience

7     Eli Lilly and Company

8     Baltimore, Maryland

9  
10    **GUEST SPEAKER (Non-Voting)**

11    **Madhukar Trivedi, MD**

12    *(Morning Session Only)*

13    Betty Jo Hay Distinguished Chair in Mental Health

14    Department of Psychiatry

15    University of Texas Southwestern Medical Center

16    Dallas, Texas

17

18

19

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**FDA PARTICIPANTS (Non-Voting)**

**Robert Temple, MD**

Deputy Director for Clinical Science  
CDER, FDA  
Deputy Director (Acting)  
Office of Drug Evaluation-I (ODE-I)  
OND, CDER, FDA

**Tiffany R. Farchione, MD**

Deputy Director  
DPP, ODE-I, OND, CDER, FDA

**Mitchell Mathis, MD**

Director  
Division of Psychiatry Products (DPP)  
ODE-I, OND, CDER, FDA

**Aeva Gaymon-Doomes, MD**

Medical Officer  
DPP, ODE-I, OND, CDER, FDA

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Wen-Hung Chen, PhD**

Acting Team Leader

Clinical Outcome Assessments Staff

Immediate Office

OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	David Pickar, MD	11
5	Conflict of Interest Statement	
6	Kalyani Bhatt, BS, MS	14
7	<b>FDA PRESENTATIONS</b>	
8	FDA Introductory Remarks	
9	Mitchell Mathis, MD	19
10	Cognitive Dysfunction in Major Depressive	
11	Disorder: FDA's Perspective, Past and	
12	Present	
13	Tiffany Farchione, MD	22
14	<b>NATIONAL INSTITUTE OF MENTAL HEALTH (NIMH)</b>	
15	<b>PRESENTATION</b>	
16	Cognition and Neuroimaging in Depression	
17	Carlos Zarate, Jr., MD	36
18	Clarifying Questions	57
19	<b>GUEST SPEAKER PRESENTATION</b>	
20	Cognitive Dysfunction and MDD	
21	Madhukar Trivedi, MD	60
22	Clarifying Questions	89

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
<b>NIMH PRESENTATION</b>	
Assessing Cognitive Function	
Jenni Pacheco, PhD	100
Clarifying Questions	120
Clarifying Questions of Presenters	130
Adjournment	211

P R O C E E D I N G S

8:03 a.m.

**Call to Order**

**Introduction of Committee**

1 DR. PICKAR: Good morning. First piece of  
2 business, I want to remind you to turn off your  
3 cell phone, put it on silence, or any other devices  
4 that you might have like that. I'd also like to  
5 identify the press contact for the FDA, Sandra  
6 Walsh. I'm not sure if Sandra -- hi, Sandra.  
7 Okay. There you go.

8 My name is David Pickar. I am the acting  
9 chairperson of the Psychopharmacologic Drug  
10 Advisory Committee, and I will be chairing this  
11 meeting. I wish to call the Psychopharmacologic  
12 Drug Advisory Committee to order. We will start by  
13 going around the table and introducing ourselves.  
14 We will start with our colleagues at the FDA with  
15 Dr. Temple.

16 DR. TEMPLE: Bob Temple. I'm deputy  
17 director of ODE-1.

18 DR. MATHIS: Mitch Mathis, director of

1 Psychiatry Products.

2 DR. FARCHIONE: Tiffany Farchione, deputy  
3 director of Psychiatry Products.

4 DR. GAYMON-DOOMES: Aeva Gaymon-Doomes,  
5 medical officer.

6 DR. CHEN: Wen-Hung Chen, acting leader,  
7 clinical outcome assessments staff.

8 DR. NARENDRAN: Raj Narendran, psychiatrist,  
9 University of Pittsburgh.

10 DR. STEIN: Murray Stein, psychiatrist at  
11 the University of California, San Diego.

12 DR. IONESCU: Dawn Ionescu, psychiatrist at  
13 Massachusetts General Hospital.

14 MS. BHATT: Good morning. Kalyani Bhatt.  
15 I'm with the Division of Advisory Committee and  
16 Consultant Management.

17 DR. PICKAR: David Pickar, psychiatrist,  
18 Johns Hopkins.

19 DR. HIGGINS: Jennifer Higgins, acting  
20 consumer representative.

21 DR. COMPAGNI PORTIS: Natalie Compagni  
22 Portis. I'm the patient representative today.

1 DR. DICKINSON: Dwight Dickinson. I'm a  
2 neuropsychologist at NIMH.

3 DR. CONLEY: Rob Conley. I'm a  
4 psychiatrist. I'm the acting industry  
5 representative, and I work at Eli Lilly where I'm a  
6 distinguished scholar and head of late-phase  
7 neuroscience.

8 DR. PICKAR: Okay. We have a very  
9 interesting two sessions today. For times like  
10 this, there's going to be a lot of opinions, some  
11 of which might be quite strongly held. That's why  
12 we're going to have an advisory committee, to offer  
13 opinions and our best thoughts to the FDA. We want  
14 it to be an open and fair forum for discussion of  
15 these issues and individuals can express themselves  
16 without interruption.

17 Thus, a little gentle reminder, individuals  
18 will be allowed to speak into the record only if  
19 recognized by the chairperson. We look forward to  
20 a very productive meeting.

21 In the spirit of the Federal Advisory  
22 Committee Act and the Government in the Sunshine

1 Act, we ask that the advisory committee members  
2 take care that their conversations about the topic  
3 at hand take place in the forum of the meeting. We  
4 are aware that members of the media are anxious to  
5 speak with the FDA about these proceedings.

6 However, FDA will refrain from discussing  
7 the details of this meeting with the media until  
8 its conclusion. Also, the committee is reminded to  
9 please refrain from discussing the meeting topic  
10 during breaks. Thank you very much.

11 Let me now pass on to Kalyani Bhatt, who  
12 will read the Conflict of Interest Statement.

13 **Conflict of Interest Statement**

14 MS. BHATT: Good morning. The Food and Drug  
15 Administration is convening today's meeting of the  
16 Psychopharmacologic Drugs Advisory Committee under  
17 the authority of Federal Advisory Committee Act,  
18 FACA, of 1972. With the exception of the industry  
19 representative, all members and temporary voting  
20 members of the committee are special government  
21 employees, SGEs, or regular federal employees from  
22 other agencies and are subject to federal conflict

1 of interest laws and regulations.

2 The following information on the status of  
3 this committee's compliance with federal ethics and  
4 conflict of interest laws, covered by but not  
5 limited to those found at 18 USC Section 208, is  
6 being provided to participants in today's meeting  
7 and to the public. FDA has determined that members  
8 and temporary voting members of this committee are  
9 in compliance with federal ethics and conflict of  
10 interest laws.

11 Under 18 USC Section 208, Congress has  
12 authorized FDA to grant waivers to special  
13 government employees and regular federal employees  
14 who have potential financial conflicts when it is  
15 determined that the agency's need for a particular  
16 individual's service outweighs his or her potential  
17 conflict of interest.

18 Related to the discussion of today's  
19 meeting, members and temporary voting members of  
20 the committee have been screened for potential  
21 financial conflicts of interest of their own, as  
22 well as those imputed to them, including those of

1 their spouses or minor children and, for purposes  
2 of 18 USC Section 208, their employers. Their  
3 interests may include investments, consulting,  
4 expert witness testimony, contracts, grants,  
5 CRADAs, teaching, speaking, writing, patents and  
6 royalties, and primary employment.

7           During the morning session, the committee  
8 will discuss cognitive dysfunction in major  
9 depressive disorder. This is an evolving concept,  
10 and experts in the field have not yet reached  
11 consensus as to whether cognitive dysfunction in  
12 MDD is a distinct entity. The committee will  
13 consider the clinical presentation of cognitive  
14 dysfunction in MDD, as well as methods for  
15 assessing this condition.

16           This is a particular matters meeting during  
17 which general issues will be discussed. Based on  
18 the agenda for today's meeting and all financial  
19 interests reported by the committee members and  
20 temporary voting members, no conflict of interest  
21 waivers have been issued in connection with this  
22 meeting.

1           With respect to FDA's invited industry  
2 representative, we would like to disclose that  
3 Dr. Robert Conley is participating in this meeting  
4 as a nonvoting industry representative, acting on  
5 behalf of regulated industry. Dr. Conley's role at  
6 this meeting is to represent industry in general  
7 and not any particular company. Dr. Conley is  
8 employed by Eli Lilly.

9           With regard to FDA's guest speaker, the  
10 agency has determined that the information to be  
11 provided by this speaker is essential. The  
12 following interest is being made to allow the  
13 audience to objectively evaluate any presentation  
14 and/or comments made by the speaker.

15           Dr. Madhukar Trivedi has acknowledged that  
16 he has received consulting fees from affected  
17 entities, including Allergan, Bristol-Myers Squibb,  
18 Cerecor, Eli Lilly, Forest, Lundbeck, Merck,  
19 Naurex, Nestle Health Science, Otsuka, PamLab,  
20 Pfizer, and Takeda within the past two years.

21           We would like to remind members and  
22 temporary voting members that if the discussions

1       involve any other topics not already on the agenda  
2       for which an FDA participant has a personal or  
3       imputed financial interest, the participants need  
4       to exclude themselves from such involvement, and  
5       their exclusion will be noted for the record.

6                FDA encourages all other participants to  
7       advise the committee of any financial relationships  
8       that they may have regarding the topic that could  
9       be affected by the committee's discussion. Thank  
10      you.

11             DR. PICKAR: I see that there are two  
12      members who have joined us. Perhaps you could  
13      introduce yourselves. Dr. Hinkin?

14             DR. HINKIN: Charlie Hinkin.

15             DR. PICKAR: Push the button there.

16             DR. HINKIN: Hi. Charlie Hinkin. I'm a  
17      professor in the Department of Psychiatry at UCLA  
18      School of Medicine, as well as the director of  
19      neuropsychological services at the West Los Angeles  
20      VA.

21             DR. PICKAR: Thank you. Dr. Grieger?

22             DR. GRIEGER: Hi. Tom Grieger. I work for

1 the Maryland Department of Health and Mental  
2 Hygiene. I'm also a professor at Uniformed  
3 Services University.

4 DR. PICKAR: Thanks so much, Tom. And  
5 there's another gentleman walking up now who looks  
6 like Dr. McMahon. Do I have that right?

7 DR. McMAHON: Yes, you have it right. I'm  
8 Francis McMahon.

9 DR. PICKAR: Push the button there, and  
10 you're in good shape.

11 DR. McMAHON: Francis McMahon from the  
12 National Institute of Health. I head the human  
13 genetics branch in the NIMH IRP.

14 DR. PICKAR: Perfect. Thank you.

15 Okay. We are now ready to proceed with the  
16 first introductory comments by Dr. Mitchell Mathis,  
17 director of Division of Psychiatry Products.

18 **FDA Presentation - Mitchell Mathis**

19 DR. MATHIS: Okay. Thank you. Thank you  
20 for coming to discuss with us a topic that we  
21 consider very important, cognitive dysfunction in  
22 major depressive disorder. We're actually going to

1 have two advisory committees today, this morning,  
2 one session, and then the afternoon session. We'll  
3 have the same panel but different sessions.

4 That was intentional because the morning  
5 session, we hope to have a good discussion about  
6 cognitive dysfunction in major depressive disorder:  
7 where we see it, how we measure it, and how to sort  
8 it out from the core symptoms of major depressive  
9 disorder.

10 The afternoon session will examine a  
11 specific drug with a specific drug development  
12 program, where the goal was to treat -- to  
13 identify, and treat, and show a drug difference for  
14 cognitive dysfunction in patients with depression.

15 So this is a relatively new area, cognitive  
16 dysfunction in depression, for the division. As  
17 you'll hear today, it had been our policy for many  
18 years that cognitive dysfunction was a part of MDD  
19 and couldn't be separated from it. In other words,  
20 it would be what we call pseudospecific to isolate  
21 a particular part of MDD as a syndrome and then  
22 treat it as an individual drug target.

1           I think a lot of people still believe that  
2 cognitive dysfunction is part of major depression  
3 and that it gets better when you make the core  
4 symptoms of major depression better. But there's a  
5 fair amount of evidence that cognitive problems  
6 persist even after the mood problems have been  
7 addressed by medications and other forms of  
8 therapy. And these symptoms, of course, are very  
9 disabling for our patients.

10           So this morning, we will engage a discussion  
11 with our panel, and here are the three discussion  
12 questions that we have. We're going to, again,  
13 discuss whether cognitive dysfunction in major  
14 depression is an appropriate drug development  
15 target. If so, which cognitive domains are  
16 affected by the dysfunction and what's the best  
17 method to measure to assess them.

18           Then, we specifically have an interest in  
19 knowing what the committee thinks are acceptable  
20 primary efficacy endpoints for treatment of  
21 cognitive dysfunction in MDD as claimed.

22           As a third point of discussion -- and we

1 can, of course, discuss other things -- we thought  
2 we'd ask what the committee thinks about whether a  
3 functional assessment is necessary as a co-primary  
4 endpoint; the idea there being when you're not  
5 quite certain what your primary is measuring but  
6 you see a statistical difference, is there a reason  
7 to have a functional endpoint that goes along with  
8 it to reassure you that it's a meaningful change.

9 So that's all I have, and I think you'll  
10 enjoy what we've put together for you; and it  
11 should be educational for everyone, especially the  
12 division. I'm going to ask that the division's  
13 deputy director, Tiffany Farchione, come up and  
14 start us off.

15 **FDA Presentation - Tiffany Farchione**

16 DR. FARCHIONE: Good morning, everybody. I'm  
17 going to just present to you a brief introduction  
18 into the way that we as a division have viewed  
19 cognitive dysfunction in major depressive disorder;  
20 how our perspective has changed over time, from  
21 where we started, as Mitch was saying, with the  
22 idea of pseudospecificity; all the way up where we

1 are today.

2 Just to begin, what is pseudospecificity?  
3 We throw this word around a lot and not a lot of  
4 people really know what it means. The term itself  
5 was coined during one of these meetings, during an  
6 advisory committee meeting back in 2001. And the  
7 topic at that time was whether or not agitation was  
8 an acceptable clinical target for development of  
9 intramuscular antipsychotic products.

10 Tom Laughren, who used to be our division  
11 director -- back at the time of this meeting, he  
12 was a team leader for Psychiatry Products -- he  
13 wrote the memo for the division during this  
14 meeting.

15 So he outlined basically the two types of  
16 clinical entities that are appropriate targets for  
17 drug claims, one being specific diseases or  
18 syndromes, which are the usual focus of drug  
19 claims, but then also nonspecific signs or symptoms  
20 that aren't unique to a single disease or syndrome  
21 and they kind of cut across disorders. And those  
22 would be things like pain or fever. So both of

1 those would be appropriate targets.

2 Now, the problem comes in when you try to  
3 say that a nonspecific sign or symptom is -- when  
4 you try to make a claim for that in the context of  
5 a specific disease or syndrome, and that's where  
6 you get into this concept of pseudospecificity.  
7 And that's pretty much what Tom -- what he  
8 outlined, the way that he defined it in his memo  
9 for the division.

10 The gist of it is, in this original context,  
11 we were talking about this narrow focus of a  
12 nonspecific sign or symptom, making a claim in a  
13 specific disease. But it's been explained a little  
14 bit since then just to generally mean any claim  
15 that is artificially narrow.

16 So some examples would be if you want to  
17 have a claim for treatment of depression in women  
18 or post-traumatic stress disorder in men. In that  
19 case, you would have to convince us that this  
20 particular demographic subgroup responds  
21 differently to your product than the other  
22 demographic subgroup. To say we're not going to

1 give you an indication to treat depression in women  
2 if your product also works in men, that would be  
3 pseudospecific. The same applies to comorbid  
4 conditions. So post-stroke depression, why is that  
5 any different than any other form of depression?

6 Back to the idea that nonspecific symptoms,  
7 something like back pain, would be an example of  
8 pseudospecificity, why would a medication treat  
9 just that one particular kind of pain? Wouldn't  
10 any analgesic work for back pain, headache, muscle  
11 pain, et cetera?

12 Then we get to the idea that we're talking  
13 about today, whether you can parse out a specific  
14 symptom or symptom cluster from a DSM-5 defined  
15 syndrome and make a claim for that.

16 So one example would be if you think you can  
17 treat just hallucinations in schizophrenia.  
18 Wouldn't you be treating the whole psychotic  
19 illness? How are you only treating that symptom?  
20 And then, the issue that we're going to be talking  
21 about today, does cognitive dysfunction in major  
22 depressive disorder fall into this category? So

1 the big question is why we would even consider  
2 cognitive dysfunction in MDD to be pseudospecific  
3 to begin with.

4           What we have listed here are the criteria  
5 that you're all familiar with, nine different  
6 symptoms for major depressive disorder. You have  
7 to have five of them for two weeks in order to get  
8 the diagnosis, et cetera. But just one of these  
9 actually -- just one of them falls into the  
10 category of cognitive dysfunction. So diminished  
11 ability to think or concentrate, or indecisiveness,  
12 is the only symptom in the diagnostic criteria that  
13 actually applies to what we're talking about here.

14           The division's position, up until this  
15 point, has always been that if you're treating  
16 major depression, you are treating the entire  
17 syndrome. So if your mood is improving, if you're  
18 sleeping better, if you're less fatigued, you  
19 should also have better ability to think and  
20 concentrate. Everything should get better  
21 together. The rising tide lifts all boats, that  
22 kind of idea.

1           But over time, the academic community and  
2 industry investigators have continued to explore  
3 this issue, and it turns out that maybe that's not  
4 always the case, that evidence has really been  
5 mounting recently in the past few years that maybe  
6 the current treatment that we have, while they  
7 might improve mood symptoms, don't always address  
8 the other components of depression, such as  
9 cognitive symptoms. Maybe a rising tide doesn't  
10 lift all boats. Maybe cognition doesn't come along  
11 for the ride.

12           But all of this was happening in the  
13 background, and FDA hadn't really been presented  
14 with this data yet. So we were going along on our  
15 happy little way, thinking pseudospecificity, and  
16 then we have this turning point.

17           That brings us to June of 2014 at the  
18 American Society of Clinical Psychopharmacology  
19 annual meeting. There was a workshop there that  
20 was entitled Cognitive Deficits in Depression: What  
21 are they? Are they independent dimensions? Are  
22 they targets for treatment?

1           This workshop was put together by some of  
2 these academics that I was talking about earlier ,  
3 who were doing all of the research in this area.  
4 And the topics were things like clinical  
5 characteristics, what this looks like out in the  
6 real world, methods of assessment of these  
7 cognitive symptoms, effects of treatment, very  
8 small samples but still good preliminary data, and  
9 whether or not this had any impact on functional  
10 outcomes.

11           So I was invited actually to be a discussant  
12 at this meeting during this workshop. And I have  
13 to admit that I did kind of go into the meeting  
14 with the idea in my head that, oh, yeah, I'm going  
15 to have to deliver the pseudospecificity party line  
16 and all of that. But as the discussion went on and  
17 as I was watching the presentations and listening  
18 to the data, it got to the point where I said,  
19 well, maybe the answer isn't no. Maybe now it's  
20 kind of maybe.

21           Needless to say, once that door was open a  
22 little crack, everybody got really interested.

1       There were a few additional workshops after that  
2       point. They were put together to really educate  
3       the FDA on the current state of the evidence. One  
4       of these was put together by the folks at  
5       Massachusetts General and their psychiatry academy,  
6       and that was held in Silver Spring so that all of  
7       our FDA folks could attend. There was also an  
8       Institute of Medicine workshop on the topic.

9               At the end of each of these workshops and  
10       discussions, we kind of always reached the same  
11       conclusion, that, well, this seems like something  
12       that's reasonable to consider, but, and then there  
13       were all these caveats: how do you define it, and  
14       how do you assess it, and what are the best outcome  
15       measures, and on and on.

16               There were just lots of questions. But  
17       nonetheless, we realized that we had kind of opened  
18       Pandora's box at that point and had  
19       invited -- basically, we knew that we were inviting  
20       applications for a potential claim that we hadn't  
21       yet decided how to deal with, so we knew we needed  
22       to get up to speed pretty quickly.

1           At that point, after the Institute of  
2           Medicine meeting, the division started to -- we  
3           began a collaboration with our federal partners  
4           over at the National Institute of Mental Health.  
5           The reason we chose them was because we were  
6           looking for people who had expertise but who didn't  
7           have financial conflicts. And we felt like this  
8           project, this idea that we were exploring, was a  
9           good fit with the RDoCs group.

10           Now, the RDoCs, that's short for research  
11           domain criteria, and this is a relatively new group  
12           over at NIMH. They're working to put together a  
13           translational framework for studying mental  
14           disorders. So this idea that everybody talks about  
15           from bench to bedside, they're looking at  
16           everything from genetic markers, to  
17           patient-reported outcomes, to really define the  
18           spectrum of behavior, both normal and abnormal.

19           These are the folks that are trying to move  
20           us forward from syndromal definitions of  
21           psychiatric illness to actually understanding  
22           pathophysiology. And the idea that perhaps this

1 element of an overall syndrome could somehow be  
2 separate fits in this model pretty neatly.

3           When we met with the NIMH folks, we had a  
4 whole bunch of questions that we were trying to  
5 resolve: what domains of cognition are the most  
6 relevant in depression, and what are the best tools  
7 for assessing those domains?

8           If you have changes in these  
9 neuropsychological tests, does that translate into  
10 a clinically meaningful change or do you need a  
11 separate functional measure in order to assess  
12 whether this matters to people; if you've got  
13 objective and subjective measures.

14           So neuropsych tests versus patient reports,  
15 those can be divergent for a number of reasons. Is  
16 one more reliable, more relevant, if you need both,  
17 how do you reconcile the disparate ratings?

18           So we had a lot of questions, and we were  
19 trying to resolve all these issues. But the  
20 primary goal that we had with this whole project is  
21 that we want to develop a framework for our  
22 regulatory decision-making without being overly

1 prescriptive. So we don't want to get to the point  
2 where we say, if you want an indication for a  
3 cognitive dysfunction associated with major  
4 depressive, you need to do this endpoint, and this  
5 endpoint, and have this period of time, and so on  
6 and so forth.

7 We want to basically develop a set of  
8 instructions for the folks in industry so that they  
9 know how to frame their rationale for the endpoints  
10 they've chosen and for the length of treatment that  
11 they've chosen for their trials, and so on. Just  
12 like I said: a set of instructions for how to  
13 interact with us and how to justify their  
14 application.

15 Now, that we have this nifty little document  
16 from our folks at the NIMH -- and Jenni Pacheco is  
17 going to talk to you a little bit about the  
18 information that they provided to us -- now, we  
19 need to incorporate all of that into our regulatory  
20 decision-making. And the things that we need to  
21 decide for ourselves in the division is what kind  
22 of information do we think can be gleaned from

1 different study designs?

2           If you've already got folks who have been  
3 treated for depression, their mood symptoms are  
4 better, they still have cognitive problems, that  
5 seems like a no-brainer that you're going to want  
6 to do an add-on study and maybe get an adjunctive  
7 claim. But what do you do if you want to start  
8 from the beginning and see that your product just  
9 works better generally?

10           So what can a monotherapy study tell us? Is  
11 superiority to placebo enough? Probably not  
12 because of that whole pseudospecificity issue. But  
13 do you just need an active comparator in the study?  
14 Is numerical superiority good enough or do you need  
15 to be statistically superior to an active  
16 comparator? Do you need a functional co-primary,  
17 like I was saying, to ground this in clinical  
18 meaningfulness?

19           The appropriate design in any given case is  
20 probably going to depend on a whole bunch of  
21 different factors, including your proposed  
22 mechanism of action for the new drug. So a company

1 might come in and justify their design and say we  
2 think that we're treating this domain because of  
3 this action at this receptor. That's probably  
4 never going to make it into a label, at least not  
5 any time soon.

6 But the idea being when I say mechanism of  
7 action, I'm thinking more like if your product is  
8 an antidepressant and you come in -- that means  
9 that you're probably going to come in with a  
10 monotherapy study because you want to treat  
11 depression and cognition at the same time; or if  
12 your product is something that you think is a  
13 cognitive enhancer in some way, you've already  
14 treated the mood symptoms, you want to add this on,  
15 that's what I'm talking about when I say mechanism  
16 of action, informing the study design.

17 So that brings us today and the difficulty  
18 that we've come up against. And as I was saying,  
19 when we opened Pandora's box, we knew we were going  
20 to start getting applications, and lo and behold,  
21 we have one. So we were still considering all of  
22 these issues and hadn't quite come up with our plan

1 for dealing with them when we got our first  
2 supplemental application seeking a claim for  
3 cognitive dysfunction in MDD.

4 Now, in order to properly evaluate that  
5 application, we need to understand the current  
6 state of the evidence that's going to inform our  
7 decision-making not just on that application, but  
8 on any application, obviously.

9 If we're going to change our approach and  
10 say maybe this isn't pseudospecific, we're willing  
11 to entertain these claims, we need to have this  
12 discussion in a public forum so that not just this  
13 single company, but every company, every academic  
14 researcher, understands what we're thinking and how  
15 we want folks to approach drug development in this  
16 area.

17 So this morning's session is -- like Mitch  
18 was saying, it's a separate AC for a reason. But  
19 everything that we talk about this morning is  
20 clearly going to inform our discussion that we have  
21 this afternoon. So that's pretty much the end of  
22 what I've got. I think at this point, I'm going to

1 be handing it over to Jenni Pacheco from the NIMH.

2 DR. PICKAR: I think it's Dr. Zarate who  
3 will be speaking next.

4 DR. FARCHIONE: Oh, it's Dr. Zarate next.  
5 Oh, yeah, you're right. You're right. I'm sorry.  
6 We rearranged it somewhere in the middle there.

7 DR. PICKAR: Okay. We welcome Dr. Carlos  
8 Zarate, chief of Experimental Therapeutics and  
9 Pathophysiology Branch, intramural research, NIMH.

10 **Presentation - Carlos Zarate**

11 DR. ZARATE: Thank you so much for this  
12 invitation. I will be reviewing cognition  
13 neuroimaging and depression, what is a brief  
14 overview. This is my disclosure, which was already  
15 discussed. I work at the intramural program at  
16 NIMH.

17 What I'll be providing is more of a broad  
18 overview in my talk about the imaging studies that  
19 have been done in the context of cognition and  
20 depression. Our next speaker will get a little bit  
21 more into detail, Dr. Madhukar Trivedi, on what are  
22 the effect sizes of the different cognitive domain

1 disruptions in depression. And then our next  
2 speaker, Jenni Pacheco, will get into a more  
3 granular -- she will get into more describing what  
4 are the different domains affected and how we  
5 measure them. So I will provide a very brief  
6 overview.

7 This is the outline. I'll be focusing on  
8 the triple network model intrinsic connectivity as  
9 a potential unified framework of cognitive  
10 dysfunction in depression. I'll next review the  
11 aberrant cognitive domains in depression. The  
12 reason why I'm focusing on the triple network model  
13 is at times, it's not so simple to focus on region  
14 experts [indiscernible], and is why we need to take  
15 into account that this is really a complex network,  
16 and we can simplify it along three different  
17 networks, also referred to as intrinsic  
18 connectivity.

19 Then you'll see that most of the studies  
20 done have been in very small sample size, and  
21 they're affected with medication or comorbidity, or  
22 medical conditions. So I'll focus predominantly on

1 a meta-analysis of studies, which are probably  
2 going to be more informative in terms of  
3 identifying what cognitive domains are affected.  
4 The third point will be reviewing resting state  
5 rather than using the models that cause activation  
6 or deactivation that are task-based. I'll be  
7 looking at resting state as a better way of  
8 understanding intrinsic connectivity in higher  
9 cognitive function.

10 This is a triple network model that has been  
11 proposed by several, not only for depression, but  
12 for, in this case, post-traumatic stress disorder,  
13 and this is kind of where the field is heading. We  
14 have, in general, three networks. One is the  
15 salience network here. We have the default mode  
16 network and the central executive network. This is  
17 what takes into account the different domains and  
18 puts them in these different networks.

19 For example, here in the central executive  
20 network, we can see the area predominantly affected  
21 is the dorsal lateral prefrontal cortex involved in  
22 working memory, planning, executive function,

1 attention, which is going to be, I think, a focus  
2 of today. We could have clinical markers. So if  
3 you have a problem there, that would be manifested  
4 as impairments of attention and working memory.  
5 There are therapies. One could use cognitive  
6 remediation as used in, for example, psychoses, and  
7 then there are other interventions.

8           Towards the left, we have the default mode  
9 network, which has been well studied. And it's  
10 inter-receptive process, a sense of self, and  
11 involved in social cognition, and autobiographical  
12 aspects as well. The treatments of course would be  
13 therapies that are self-reflective in terms of  
14 function medications or certain neural devices  
15 might have effects.

16           Then you have the salience network. Here,  
17 we see dorsal anterior cingulate cortex and insula  
18 that has to do with organizing or directing  
19 behaviors towards a specific goal. I'll talk a  
20 little bit how these interact. But one can see  
21 that these do not function in isolation. They're  
22 highly integrated. There's a nice interplay

1 important in that, and I'll give some examples at  
2 the end.

3 This is how I divided the focus on the  
4 alterations or aberrations of cognitive function  
5 domains. These are largely task-based procedures,  
6 and I'll discuss it. We divided into the  
7 following: looking at effective processing. I'll  
8 talk about attention. I won't focus so much on  
9 working memory and executive function because our  
10 next speakers will go into that, and then reward  
11 processing.

12 Centers of affective processing, we  
13 generally see that there is a bias towards negative  
14 stimuli and depression, and most of the studies  
15 have been very consistent in showing that. In  
16 terms of negative stimuli, one might use faces.  
17 One might use images. One might use words or  
18 autobiographical forms to tap into that bias. The  
19 bias is towards negative stimuli or away from  
20 positive stimuli.

21 As I mentioned, I won't get into specific  
22 studies because when you do, data is all over the

1 place. When you get into meta-analysis, it's kind  
2 of useful here. These are functional studies, 14,  
3 looking at PET, positron emission tomography  
4 studies, and 24, looking at functional MRI  
5 studies.

6 For the most part, focusing on the salience  
7 network, we see that there's a hyperreactivity of  
8 the salience network. Remember, these are  
9 predominantly in the areas I mentioned. This is at  
10 the amygdala. This is the dorsal cingulate  
11 anterior cortex and insula here. It's towards the  
12 negative and away from the positive stimuli or the  
13 neutral control; whereas, you see the contrary in  
14 terms of the executive network, you see  
15 hyporeactivity in the dorsal lateral prefrontal  
16 cortex. So you can see this ying-yang and  
17 interplay.

18 Another study by Groenewold pretty much  
19 showed the same thing, and so this is consistent.  
20 This is another meta-analysis involved in 44  
21 functional MRI studies. You can see looking at,  
22 for example, the salience network, that there's

1 hyporeactivity in the dorsal cingulate cortex  
2 towards negative emotions and away in positive  
3 emotions. Consistent with the previous  
4 meta-analysis, you see hyporeactivity in these  
5 studies and mainly in the central executive  
6 network; once again, the importance of these  
7 networks and how they act in concert.

8           The other area that has, for the most part,  
9 shown fairly consistent results in the same  
10 direction is attention. And there are many, many  
11 different ways of tapping into attention circuits  
12 and some clever ways. One can use dot probe task.  
13 One could use distractor stimuli, and I'll give  
14 some examples.

15           This is a study by Wang looking at the  
16 attention process and network. What generally one  
17 does is you can use a task. In this case, one can  
18 use a control, which may be a square. And the  
19 other is that you use the -- you ask the individual  
20 to focus on a circle, which would be called the  
21 odd-ball task.

22           So that is getting attention, but what

1 happens is that sometimes emotions get in the way.  
2 So what you do is use a distractor, in this case, a  
3 sad face. And we already talked about that that  
4 biases and pulls away the individual's attention  
5 toward the negative stimuli, and then you're more  
6 able to tap into that attention circuit. That's  
7 the typical design of the study, and you see,  
8 generally, differences between major depressive  
9 disorder and controls.

10 More specifically, you see the following.  
11 If you focus towards the left, this is the salience  
12 network, which I described, and towards the right,  
13 this is the central executive network.

14 Towards the left, you see these darker  
15 colors, which are the controls and these warmer  
16 colors are the patients. When you see that -- you  
17 use a distractor, you see, in controls, an  
18 increased activity when you use a distractor, which  
19 in this case is sad faces, compared to the target  
20 to the neutral condition in healthy controls;  
21 whereas in major depression, you see the opposite  
22 pattern. You see decreased activity in anterior

1 cingulate cortex and insula in this salience  
2 network.

3           Now, this is in the salience network, so  
4 what would we expect to happen in the central  
5 executive network? The contrary. So we see, in  
6 general, in the dark colors, healthy controls, we  
7 see a decrease in hyperactivity in the pre-front  
8 regions in controls, and in depressed, we see more  
9 increased activity in these regions. So again,  
10 these studies, at least in meta-analysis, larger  
11 studies, this is 20 subjects with depression and  
12 20 controls. We see that it's fairly consistent.

13           Now, you may be familiar with the dot probe  
14 task. This is another way of pulling attention  
15 away, distracting individuals to get more at the  
16 specific circuit. This is referred to as a block  
17 design or face block design. Here, you pair two  
18 faces. One is neutral and one could be angry or  
19 happy. In this case, it's angry; here, it's  
20 supposedly happy. Then immediately, these faces  
21 are removed, and you use a dot. That's referred to  
22 as a dot probe test.

1           The dot could either match with one of the  
2 faces, the neural condition, or it might be more  
3 the emotion, in this case angry. You can't see it  
4 from here, but this would be considered an  
5 incongruent trial because that is matched with a  
6 neutral condition, and here it would be considered  
7 a congruent condition because it's matched with  
8 happy. You do these in blocks while an individual  
9 is scanning [indiscernible], then you can identify  
10 the circuits that might be implicated.

11           This is one of the studies we are doing.  
12 You see the main effect of emotion in the reward  
13 areas. And here, striatum's affected, so that  
14 supports the use of this task. If you look at the  
15 salience network, I already mentioned dorsal  
16 cingulate is involved, you can see activation here.  
17 And towards the right, you see the default mode  
18 network, parahippocampal and also amygdala not seen  
19 as clearly, but you would see activation. So this  
20 does show that there is a main effect of emotion,  
21 and that's what you want.

22           The next step would be to see if there's an

1 interaction of emotion with group interaction, and  
2 you do see that here. You do see that the superior  
3 and middle temporal cortices, there's a cluster  
4 here that's activated. You also see -- I can't  
5 really see from here. Sorry. But we do see that  
6 prefrontal cortex extended activation of this  
7 cluster to the insula, and that does show that  
8 there is an emotion by group interaction.

9 In the interest of time, I won't get into  
10 working memory and executive function, but  
11 typically there are N-back tasks, delayed matching  
12 tasks, and our next speakers will go a little bit  
13 more into it.

14 Another area that is receiving more  
15 attention in terms of cognitive domain is reward  
16 processing. I've just given a general overview and  
17 examples. Here, one can use gambling tasks or  
18 money incentive tasks to tap into the circuits.  
19 The example I do provide here is anhedonia, since  
20 it's a key cardinal symptom in depression. And the  
21 dysfunction -- so anhedonia is one of the main  
22 symptoms involved in the criteria of depression.

1           There are different types of anhedonia. You  
2           have anticipatory anhedonia. For example, you  
3           start thinking that you want to get a reward. Then  
4           there's the motivational aspect; okay, you get  
5           going to get that award. And then there's a  
6           consummatory. You got that pepperoni pizza and you  
7           enjoy it. Those are the different components of  
8           anhedonia, and one can tap into that with specific  
9           tasks.

10           More, the anticipatory is referred to as the  
11           wanting, where the consummatory eating or some  
12           other pleasure is like an aspect of it. In the  
13           wanting, which is the anticipatory, generally  
14           involves the orbital frontal cortex, anterior  
15           cingulate, and nucleus accumbens, whereas, the  
16           consummatory aspect would be, for example, ventral  
17           striatum, dopamine mediated.

18           Here, we see a study, looking at the  
19           different aspects of consummatory anhedonia, the  
20           lack of interest or pleasure. We see that there  
21           is, in general, a hyperactivity in the striatum in  
22           patients -- I'm sorry, in healthy controls, where

1 there is not so much of an increase in the  
2 patients, but more of a decrease. So that's the  
3 consummatory anhedonia.

4 The anticipatory anhedonia, the more initial  
5 aspect does involve an increased activity in  
6 healthy controls at dorsal cingulate and a decrease  
7 in patients. And as would be expected, we see a  
8 decrease in emotional processing in the anterior  
9 cingulate as well. This is one example of another  
10 cognitive domain one might tap into. And remember,  
11 these are all activity-based tasks.

12 Now, here we are looking, for example, at a  
13 task we're developing, very simple. And I think  
14 it's important that if one were to go to mass  
15 skilled, that you come up with simple tasks that  
16 are reproducible and aren't very expensive.

17 Here is a task that looks at liked  
18 activities or disliked activities in healthy  
19 controls versus patients with major depressive  
20 disorder. If you look at the liked activities in  
21 those who are healthy, it's higher than patients,  
22 and the disliked activity, you can see it's less in

1 healthy controls compared to patients.

2           Now, how does this map on to existing scales  
3 of anhedonia? Here, these are different in  
4 anhedonia. You can see that, generally, the  
5 correlation is fairly good. This is in preparation  
6 of developing an imaging paradigm. We see the  
7 liked activity, a negative correlation with  
8 anhedonia. The more you like something, of course,  
9 it goes in the opposite direction of anhedonia.  
10 And you see a nice correlation between disliked  
11 words in anhedonia total scores; so another way of  
12 looking at it. This is in preparation.

13           Now, I introduced this because it's very  
14 important in depression and mental health. It's  
15 suicide, some refer to as suicidal cognition. It's  
16 a complex behavior. And more recent data is  
17 functioning on anhedonia, lack of pleasure, and  
18 suicide. You can see how you can start linking  
19 tasks that are being developed for reward  
20 processing and for other domains. One might want  
21 to consider that in research portfolios.

22           Suicide is also involved in impulsivity and

1 mood -- also has aspects of impulsivity and mood  
2 lability. You can tap into that with other tasks.  
3 It's really not well studied and not very well  
4 understood. This is a study that gives an example  
5 of functional imaging, positron emission  
6 tomography.

7           Here, we look at blood glucose, the  
8 surrogate measure for glutamine. We see that in  
9 area 25, which most everybody is familiar with,  
10 infralimbic cortex, that the greater the cerebral  
11 blood flow in this region, the greater the  
12 relationship with suicidal thinking. So that would  
13 be an example. One can link with anhedonia and  
14 develop tasks along those domains or interventions.

15           What I talked to you is about -- I mentioned  
16 the task base. You activate, you deactivate, based  
17 on the dot probe or something else. Here, I'm  
18 talking about resting state, which means you just  
19 lie still and you focus using crosshairs or you  
20 just close your eyes and lie still.

21           What happens in healthy individuals, some of  
22 you may be, for example, daydreaming with my talk

1 here and your mind might be elsewhere. Others  
2 might be planning, thinking what I'm going to have  
3 for lunch or my vacation; I'm heading out of here  
4 in a few hours. So this is just resting.

5           You kind of think of it as a way of getting  
6 at the circuits, at the triple network, without  
7 interfering with our tasks. It's another way of  
8 looking at things. And what happens in depression,  
9 you do the same thing, eyes closed or crosshairs  
10 focused there, and this is the difference with our  
11 patients. I'm not a success story, thinking of how  
12 they want to kill themselves, or that I'm a  
13 horrible person, and I can't get it out of my head.  
14 And this goes on over and over again. If you think  
15 of it, this is rumination that has to do with the  
16 default mode network we talked about. I'll give an  
17 example in a few minutes.

18           Getting back to the triple network, what we  
19 have here is the default mode network. We talked  
20 about salience network, and then this is the  
21 executive control network. You can see here that  
22 there are clusters of activity. But when you look

1 within a specific network -- for example, salience  
2 network, here, we have dorsal anterior cingulate  
3 cortex and insula -- generally the activity is in  
4 the same direction, for the most part, in the  
5 central executive -- the activity of these nodes or  
6 these clusters are in the same.

7           So they go in synch, one with the other, and  
8 that's important because you'll see that there are  
9 differences from one network to another. Sometimes  
10 they go in opposite directions. But within the  
11 same network, they go in the same direction for the  
12 most part.

13           This is an example of the triple network  
14 model, coming back to this. Just to review again,  
15 we have the salience network, default mode network,  
16 and the central executive network. Here, you can  
17 see in terms of the salience networks,  
18 self-referential thinking we talked about. We  
19 talked about social processing, other aspects.  
20 Generally, you see there's increased activity of  
21 this network over the default mode network, which  
22 dampens the activity of the self-referential

1 thinking here.

2           What happens here, this has to do more with  
3 the activity. So in this case, you might have an  
4 individual who has -- we see that rested  
5 state -- ruminations, thinking of how to die,  
6 whereas over here, this has to do with the salience  
7 network with more initiating or directing behaviors  
8 towards the action.

9           So in this case, the action could be you act  
10 on the ruminations of thoughts of dying; you take  
11 your life or you could walk away. These are some  
12 examples here. Then, of course, you have the  
13 relationship between the default mode network and  
14 the central executive network in a negative way,  
15 decrease in activity.

16           This is an example. What I showed you was  
17 bold imaging fMRI studies resting state. That  
18 looks at one aspect, but you can also use magnetic  
19 encephalography that gets more into real time in a  
20 real way, the activity, these intrinsic -- these  
21 networks, intrinsic connectivity networks. And  
22 pretty much in our study using magnetic

1       encephalography, MEG, you see bilateral insula  
2       temporal activation, very consistent with the  
3       salience network, so we talked about that.

4               There are different modalities now being  
5       explored and how with treatment, one might see, for  
6       example, a decreased connectivity in this area in  
7       the patients compared to healthy controls. And  
8       with treatment, you may even decrease the  
9       connectivity more to either normalized or go to a  
10      lower state.

11             This is a slide summarizing the different  
12      domains, as we'll be talking about. The next  
13      speakers will talk in isolation about the amygdala  
14      and, for example, striatum, and maybe some  
15      functions. But what you see here is how these  
16      regions start mapping onto these networks very  
17      nicely.

18             So these two, for example, an emotions  
19      process maps nicely to salience network, executive  
20      network. When you get into alterations and reward  
21      processing, it could be the dorsal cingulate and  
22      striatum. Those are the regions involved in the

1 salience network. When we get into self-directed  
2 cognition, all the three networks are involved,  
3 salience, executive, and default mode network,  
4 representing the regions of amygdala and subgenual  
5 ACC, so putting all this together.

6 I think this might be the last slide. This  
7 is model. It's a work in progress. But to really  
8 keep in mind that as we present a separate task we  
9 thing might be related to a certain brain region,  
10 it's much more complex. So one will be able to  
11 come up with better treatments if you think along  
12 this paradigm.

13 Down here, we talk about the default mode  
14 network, DMN. We say that it's involved in the  
15 rumination, poor autobiographical memory. For  
16 example, some of these things might be more  
17 amenable to certain psychotherapy, self-referential  
18 thinking rather than specific medications.

19 Up here, impoverished cognition, working  
20 memory, attention might be more amenable to other  
21 types of treatment. So one can start considering  
22 combination treatments, depending on the

1 dysfunction of the network.

2           Then you have this network. We say it's  
3 involved in behaviors directed towards perceived or  
4 important action, the salience network. But in  
5 itself, it's influenced by these boxes. You can  
6 see, from a bottom-up approach, it might be a  
7 sensory stimuli.

8           There's limbic information, the limbic  
9 cortex involved in influencing the salience  
10 network. But also, we can see how they interact  
11 with each other, this network with this, and how  
12 they affect the other two networks. So it's really  
13 a nice interplay dynamic.

14           In summary, the triple network model is one  
15 direction that people are pursuing. It seems to be  
16 a very important way of integrating or providing a  
17 framework for cognitive function, not only in  
18 depression but for other psychiatric disorders.  
19 This is important because most of our patients with  
20 depression have comorbidity, so that's something to  
21 keep in mind.

22           Within this framework, one can be able to

1 dissect it using certain drugs or certain  
2 therapies. Then eventually, once we have that  
3 information, we may be able to tap into specific  
4 networks, selecting the treatment ahead of time.  
5 They may be doing better with medication, or better  
6 with therapy, or the combination. Thank you for  
7 your time.

### 8 **Clarifying Questions**

9 DR. PICKAR: Thank you very much, Carlos.  
10 We're going to take questions --

11 DR. ZARATE: At the end.

12 DR. PICKAR: -- yes, if that's okay.

13 DR. ZARATE: Yes, sure.

14 DR. PICKAR: We actually have a couple of  
15 minutes. Does anybody have a specific question for  
16 Carlos right now? Francis?

17 DR. McMAHON: Carlos, this is very  
18 interesting. The previous speaker emphasized, at  
19 the level of DSM symptoms, one particular cognitive  
20 domain. That is decreased concentration that is  
21 one of the cardinal diagnostic symptoms of  
22 depression. But if I understand what the thrust of

1 your talk is saying is that, actually, cognitive  
2 dysfunction is underlying most of the symptoms that  
3 are at the surface go into the diagnosis of  
4 depression.

5 Is that a fair conclusion?

6 DR. ZARATE: Well, when we say cognitive  
7 dysfunction -- let's say we say distractability,  
8 poor decision-making as an example. It is within  
9 depression, but it's fairly nonspecific, and you  
10 might see it in other disorders. So we can agree  
11 there. But even in patients who remit from the  
12 depression, they might have these residual symptoms  
13 that could potentially be a target of specific  
14 therapies.

15 The one problem there, and I think our next  
16 speakers will address in more detail, is that in  
17 distractability or poor decision-making, there  
18 could be other residual symptoms. So there could  
19 be fatigue, apathy. That could be the result of  
20 insomnia. So my point is, without understanding  
21 the totality of what's going on -- is it poor  
22 decision-making because you didn't sleep all night

1 or is it something separate and in a specific  
2 cognitive domain? So I think it's something that  
3 needs to be parceled out in further research.

4 DR. McMAHON: Right. So there's a range of  
5 sort of chicken and egg problems built into that.  
6 Is someone thinking poorly because they're sleeping  
7 badly or are they having trouble enjoying  
8 themselves because of a fundamental problem with  
9 anhedonic processing?

10 DR. ZARATE: Yes, apathy -- yes, exactly.  
11 So some people have tried to discern that, but it's  
12 not that easy.

13 DR. McMAHON: Thanks.

14 DR. PICKAR: We have time for just a couple  
15 questions. We're going to stay on schedule, and  
16 then we're going to have questions at the end,  
17 Carlos. So be ready.

18 Any other questions now for anybody before  
19 we move on?

20 (No response.)

21 DR. PICKAR: Okay. Carlos, thank you so  
22 much, and there will be more coming. We move to

1 our next speaker, Dr. Trivedi from the University  
2 of Texas Southwestern in Dallas. Welcome.

3 **Presentation - Madhukar Trivedi**

4 DR. TRIVEDI: Thank you very much. Good  
5 morning. This is an exciting issue. I think one  
6 fundamental issue, this is not new information,  
7 that cognition and cognitive dysfunction is a major  
8 component of major depressive disorder. I think we  
9 have not as a field really spent as much effort in  
10 studying this over the last 30 years or 20 years,  
11 and that is why this question comes up.

12 Two other things to think about as we start  
13 figuring out what is the role of where does this  
14 all fit in terms of depression. One thing is we  
15 all talk of -- and Tiffany showed, and I will show  
16 you the slide on the diagnostic criteria for major  
17 depressive disorder. We all forget one important  
18 parameter, and that is functional impairment is  
19 required for the diagnosis of major depressive  
20 disorder.

21 So you cannot actually make diagnosis of  
22 major depressive disorder, even if you have all the

1 nine symptoms in its most severe form, if you don't  
2 have functional impairment. What is the role of  
3 cognition in that functional impairment? It's  
4 something we as a field have not paid attention to.

5 If you then flip back on understanding  
6 cognition and its role in psychiatry, I think we  
7 always think of models like ADHD, Alzheimer's, and  
8 even schizophrenia, where we actually accept that  
9 that is a major concept, and we have talked and  
10 studied it enough.

11 The one big caveat to think about, again,  
12 there, and it dovetails with the dysfunctional  
13 impairment issue, is that the severity and the  
14 components of cognitive dysfunction we are talking  
15 about in something like Alzheimer's or  
16 schizophrenia are very different. In fact, if you  
17 look at the functional measures used in those  
18 conditions, they're asking about the activities of  
19 daily living, can you find your shirt and can you  
20 catch your keys, or can you balance your checkbook.

21 In functional impairment and depression, we  
22 are talking, really, work impairment and a number

1 of other different components of domain. So I  
2 think that we have to be careful so that it's not  
3 all lumped into one and we miss the boat.

4 Talking about boats, I think Tiffany's sort  
5 of point that is this really these cognitive  
6 symptoms, really, where a rise in tide raises all  
7 boats, the research domain criteria aspect -- and I  
8 am assuming Bruce Cuthbert might be amused with my  
9 reductionist view of it. But it is an attempt to  
10 try to actually say that all rising tides will not  
11 raise all boats because not all symptoms and  
12 behaviors are reflective of the same brain  
13 pathology. And therefore, we have to be thinking  
14 about it in a more nuanced way.

15 So having said that, what my task is, is  
16 very straightforward. Given that I've already  
17 confessed that we have not studied this as much,  
18 I'm going to give you a little bit of the sense of  
19 where the literature is in terms of what has been  
20 studied in terms of cognitive dysfunction and  
21 depression over the last 20 years. These are my  
22 disclosures, and you have that in your booklet, I

1 think.

2 I'm going to try to talk about  
3 something -- at least give you the data on what we  
4 know about assessing cognitive function; what is  
5 the prevalence and characteristics of cognitive  
6 symptoms and depression; what are treatment  
7 considerations. And I think this is where we have  
8 the smallest amount of database. We have not  
9 actually spent enough time trying to predetermine  
10 and, a priori, focus our attention on how best to  
11 treat it. So I'll give you what we at least have  
12 in the literature.

13 What are the functional consequences of  
14 cognitive deficits in depression is a really big  
15 interesting component; what is the mismatch between  
16 changes in symptoms that we call core symptoms of  
17 major depression and changes in cognitive  
18 dysfunction; and some idea of where I think we  
19 should be going as a next step in the field.

20 So a very quick review, although I'm going  
21 to skip a little bit of this because it's  
22 really -- this is a crowd that actually accepts

1 depression as an illness, and is really severe; and  
2 it's very chronic and very early onset, et cetera.  
3 So I'm going to not spend too much time on this but  
4 to say that for most patients, depression is  
5 chronic and/or recurrent.

6           Again, that has an impact on functional  
7 impairment. And it starts in second or third  
8 decade of life and impairs work productivity quite  
9 significantly over the lifetime. In fact, I'll  
10 show you some of the data on persistent cognitive  
11 impairments in people who have full remission of  
12 their core symptoms.

13           The other issue is we all -- when you read  
14 about literature on depression, we don't always  
15 talk about just the symptoms but also the  
16 disability associated with it. And we know by  
17 2020, depression is predicted to be the second  
18 leading cause of disability. In fact, there's now  
19 increasing data that it may have already reached  
20 that. In the United States, disability associated  
21 with depression has increased 40 percent over the  
22 last two decades.

1           Again, talking about the criteria, we know  
2           that diminished ability to think, concentrate, or  
3           to be indecisive is a core symptom, but this again  
4           doesn't cover all the domains of cognitive  
5           dysfunction, as I'll show you. And I think that we  
6           all lump it all in one criterion, but it is not  
7           always true.

8           One of the big challenges I think for all of  
9           us, and you as the advisory group, is going to be  
10          the following. Not everybody has cognitive  
11          dysfunction if they have major depressive disorder,  
12          and not everybody who has cognitive dysfunction and  
13          depression necessarily has it to such an extent  
14          that it really is significantly impairing. So  
15          therefore, that doesn't mean that we should  
16          therefore say since it is not seen in everybody, it  
17          is not a worthwhile symptom.

18          I think that where you fall on that, how do  
19          you figure that out, thankfully, I don't have to be  
20          on that side.

21          So it is an interesting question that I'll,  
22          again, show you, that unlike some of the other

1 cognitive disorders, that we call cognitive  
2 disorders, this may be the kind of thing we have to  
3 as a field think about. Cognitive function is  
4 frequently observed in depression in the following  
5 domains: attention, verbal learning, nonverbal  
6 learning, memory, executive function.

7 I think that, Francis, your question on  
8 whether this therefore is at the root of all  
9 symptoms, and at least the presentations, is a very  
10 intriguing question; not always true, but it has  
11 some implications that we ought to be thinking  
12 about.

13 Carlos already addressed this, but I think  
14 that one of the other -- and that is that the  
15 domains or the circuitry associated with cognitive  
16 dysfunction and in fact how the emotional symptoms  
17 impact on cognitive performance really covers a  
18 wide range of circuitry in the brain. And most of  
19 the assessments done don't cover all of this. So  
20 therefore, you look at which part of the elephant  
21 and therefore sometimes really miss the boat in  
22 terms of what is really the nature of the cognitive

1 dysfunction in that particular subgroup of  
2 patients.

3           This is an example of that just to give you  
4 an idea. This is really looking at impaired  
5 cognition through objective measures. And you can  
6 multiple domains are studied and controls are  
7 different from both endogenous -- this is an older  
8 paper. As you can see, the definition of  
9 depression is divided into endogenous and neurotic.  
10 But nevertheless, controls are different from  
11 neurotic as well as endogenous depression.

12           More interestingly, DSST, which measures  
13 attention, working memory, and processing speed, is  
14 quite significantly different between endogenous  
15 and controls. And Trails A that measures attention  
16 and Trails B that measures shifting mental  
17 flexibility are the three domains, which are  
18 clearly significantly different for controls versus  
19 endogenous depressed patients.

20           So there is not a doubt that these separate  
21 domains have a separate degree of differences  
22 between subgroups of depression and healthy

1 controls. As the research domain criteria become  
2 even more explicated and we study it -- this is  
3 still a theoretical model. As we study it, this  
4 kind of fine grain clarity is I think going to come  
5 about, and Dr. Pacheco may address some of that.

6 One additional thing is, traditionally, we  
7 have thought of cognitive dysfunction as much more  
8 relevant for bipolar disorder than unipolar  
9 depression. And therefore, people think that with  
10 bipolar disorder you are more likely to find this  
11 cognitive dysfunction whereas not with unipolar  
12 depression. It may be true for some domains. Like  
13 alertness, you can see the percent impairment there  
14 for bipolar disorder is much higher than for  
15 unipolar depression, but that is not true for all  
16 of the domains of cognitive function.

17 So information processing speed, this IPS,  
18 is clearly where at least it's comparable, if not  
19 slightly worse actually, with unipolar depression  
20 than with bipolar disorder. So again, to assume  
21 that bipolar disorder has the cognitive dysfunction  
22 with not so much a component of unipolar depression

1 is, obviously, something to think about.

2           The other piece -- and this, again,  
3 dovetails to, Francis, your question. We all know  
4 that there is a significant impairment in  
5 processing speed or attention in processing speed.  
6 And therefore, all other cognitive performance  
7 tasks are really sort of an effect of having poor  
8 processing speed, so therefore, you're making all  
9 the other errors.

10           This meta-analysis actually quite elegantly  
11 shows that this gray line is the processing speed  
12 in these studies that while not profoundly  
13 extremely different, other domains of cognitive  
14 function are still present beyond the accounting  
15 for processing speed with modest effect sizes but  
16 still there, suggesting that it is not just the  
17 issue of processing speed but more than that.

18           I'll give you a little bit of the same  
19 thing, but different domains of this cognitive  
20 function. So this is psychomotor speed, and you  
21 can see psychomotor speed. Not all studies, as you  
22 will see -- and this is, again, both the positive

1 and the question that we have to figure out, and  
2 that is it depends on patient selection, to some  
3 extent, I bet. But there is a consistent effect of  
4 processing speed difficulty in people with major  
5 depressive disorder.

6 The same thing with -- well, my memory still  
7 works.

8 (Laughter.)

9 DR. TRIVEDI: The same thing -- I apologize.  
10 So the bottom line is this meta-analysis this kind  
11 of consistent finding for cognitive dysfunction in  
12 all the domains, including psychomotor speed,  
13 working memory, that is beyond just processing  
14 speed difficulties.

15 (Pause.)

16 DR. TRIVEDI: I think the next slide shows  
17 the same thing, that with psychomotor speed you  
18 will see the consistent finding with variability,  
19 obviously, across studies, the same degree of  
20 variability you see with attention and working  
21 memory, or slide number 14, with verbal, visual,  
22 learning, and memory.

1           So this is an area -- again, before it  
2 became fashionable to think about cognition, this  
3 meta-analysis includes studies that are really  
4 quite wide-ranging from a long time over the last  
5 20 years. Slide 15 really shows mean differences  
6 in cognitive function between patient groups and  
7 controls.

8           Should I wait or go? Tiffany?

9           MS. BHATT: You can keep going.

10          DR. TRIVEDI: Keep going. Okay.

11          As we are talking about this, I think one of  
12 the places where this may help you in your  
13 deliberations to think about how to see the role of  
14 cognitive dysfunction is in this question of  
15 treatment effects. And we'll come to that, and  
16 I'll have slides on it.

17          But I think in that group of patients who  
18 have significant improvement, either with the  
19 medication or, for that matter, with just time,  
20 they have now, symptoms reduced or even almost  
21 symptom free, continued to have significant  
22 impairments in their functioning. And if you then

1       carefully evaluate those patients, they have as a  
2       major component three things: lack of motivation,  
3       cognitive dysfunction, and sometimes sleep or  
4       anxiety as the most common residual reason for  
5       their impairments.

6               I think there again -- any luck? Keep  
7       going. All right.

8               If you look at slide number 15, you see the  
9       differences in cognitive function between patient  
10      groups and controls. And as you can easily see,  
11      there is not a profound --

12              DR. PICKAR: Excuse me. Why don't we take a  
13      couple of minutes break.

14              DR. TRIVEDI: Okay.

15              DR. PICKAR: Those slides are great. We  
16      want to see them. And give them a chance to put it  
17      together.

18              Murray, Dr. Stein, what do you think?

19              DR. STEIN: Yes.

20              DR. PICKAR: Okay. I follow Dr. Stein's  
21      orders on this.

22              DR. TRIVEDI: Thank you.

1 DR. PICKAR: A five-minute break. You think  
2 we can get it up and going by then?

3 DR. TRIVEDI: You're making me feel good.  
4 You're saying slides are good.

5 DR. PICKAR: Bathroom break. It's a quick  
6 one. We've got it all under control, Kalyani.

7 (Whereupon, at 9:12 a.m., a recess was  
8 taken.)

9 DR. PICKAR: We'd like to reconvene the  
10 session. I think our slides are all squared away,  
11 and I turn this right back to Dr. Trivedi.

12 DR. TRIVEDI: Thank you very much.

13 So I think that we were starting here, and  
14 then I talked about the slide. So I won't repeat  
15 too much, but the fact that psychomotor speed has  
16 this consistent finding in this meta-analysis; same  
17 thing with attention, as well as working memory,  
18 and verbal, visual, and learning memory.

19 This is where I was talking about, is that  
20 if you look overall, differences between major  
21 depressive disorder, generalized anxiety disorder  
22 for that matter, bipolar 1 and 2 disorder and

1 controls, there are some differences, but they're  
2 very quite modest. One of the reasons is, which is  
3 what I keep on talking about, that if you start  
4 looking at the same data in box plots, you can see  
5 that patients with depression -- actually, not all  
6 of them, but there is a sizeable proportion that  
7 has significant effect.

8 That is really the key thing that we will  
9 have to try to understand, because in these  
10 patients, if you ignore it, these are the very  
11 patients who are going to have continuous,  
12 significant dysfunction, even if you take care of  
13 the symptoms.

14 This is the kind of group that reminds me of  
15 the patients I -- some of you know I'm a big  
16 proponent of measurement-based care, so in clinical  
17 care, using measurements generally ends up being,  
18 as usual in research, measurement of symptoms.

19 So my patients, they measure their symptoms  
20 routinely. And one of the things that always comes  
21 back and something that you have to address is that  
22 the patient says, "Doc, I know you're asking me to

1       measure these symptoms. They are better,  
2       significantly better, but I still can't function."  
3       And that's when you start asking about the residual  
4       symptoms, and that is when these aspects are  
5       uncovered if you don't prospectively do it, which  
6       we don't often routinely do it, except ask them are  
7       you able to concentrate, are you able to attend to  
8       tasks, et cetera.

9               Same thing. If you think about it, if  
10       you're looking for 3 standard deviations away like  
11       we do in Alzheimer's, or 2 standard deviations  
12       away, you can see that the numbers that account for  
13       those with 2, 3, or 4, or 5 standard deviations  
14       away is smaller, but it's still there.

15              I think one of the things that we as a field  
16       don't yet fully understand is that even this group  
17       that is 1 standard deviation from normal in people  
18       who are working, how much of an impairment that  
19       really leads to. And I think that is something  
20       that -- at least I'll show you some little data.  
21       But I think that is the thing that we have to  
22       struggle with, that even this smaller amount of

1 cognitive dysfunction can have an upside effect on  
2 their clinical care.

3 Determinants of cognitive deficits, somebody  
4 asked me about age. Age is obviously a factor; age  
5 at onset; educational attainment. We often don't  
6 pay attention to this, but that 1 standard  
7 deviation drop may not actually seem insignificant  
8 for somebody who has a very high educational  
9 achievement and is in a high position, but it still  
10 has an impact on their impairment.

11 So do these symptoms change I think is the  
12 central crux of the question about whether this  
13 pseudospecific or not, and what happens with the  
14 residual symptoms is an important question.

15 Several treatments have been associated with  
16 improvement in cognition. And in fact, in a group  
17 of patients, if you monitor patients with major  
18 depressive disorder and use any of our standard  
19 treatments, their symptoms, if they do improve,  
20 they will also be associated with improvements in  
21 cognitive function.

22 How much and how closely that happens is

1 really the main question that we have to think  
2 about, one caveat being very few studies have used  
3 cognition as a primary outcome. So if you do a  
4 PubMed search on randomized control trials with  
5 primary outcome measure as cognitive dysfunction  
6 for major depressive disorder, you're not going to  
7 come up with too much.

8 That is really the unfortunate state of  
9 affairs for our field. Many studies, preliminary  
10 and more, obviously are needed. In the interest of  
11 full disclosure, my life is in academics, so  
12 therefore more studies are needed is a fact. That  
13 just continues my career.

14 (Laughter.)

15 Pre-post cognitive changes in several  
16 domains are being noticed. And you can see this,  
17 which is what I was talking about, is that, again,  
18 what Tiffany started with is whether these symptoms  
19 improve, and therefore cognition also improves.  
20 And that is indeed true, that cognitive function in  
21 various domains, when you measure it objectively  
22 like with continuous performance tasks or

1 finger-tapping, or Wisconsin Card Sort, you can see  
2 that before and after treatment, there is  
3 improvement, higher numbers of improvement. And  
4 you can see that.

5 Obviously, there's some degree of practice  
6 effects, and that is a major question. And  
7 hopefully, Dr. Pacheco will address some of that,  
8 is that when you are doing measurements of  
9 cognitive function, there are several things we  
10 need to be considering.

11 Cognitive function is indeed a common  
12 residual symptom. Studies indicate that there  
13 remains cognitive dysfunction as residual symptoms.  
14 The biggest, really, interesting finding is even  
15 when you look at patients who are fully in symptom  
16 remission, and I'll show you a series of studies on  
17 that.

18 This is with patients in the STAR\*D trial.  
19 As most of you know, STAR\*D was the large  
20 multicenter clinical practice trial in primary care  
21 and specialty care. And that group of patients, we  
22 did not use objective measures of cognitive

1 function. We used symptom rating scales like we  
2 traditionally do.

3 This is a group of patients who achieved  
4 response as well as people who were in remission.  
5 And as you can see, obviously, with people who have  
6 only achieved response but not remission, there  
7 remains moderate symptoms of concentration and  
8 decision-making difficulties. And obviously, a  
9 milder version of that symptom is seen in a large  
10 proportion of patients.

11 What is interesting is even in people who  
12 are fully remitted, these symptoms persist not in  
13 the mild version and very little in the moderate to  
14 severe. So this group of patients who have these  
15 persistent symptoms, and they were in full  
16 remission -- so if you look at the standard way of  
17 telling the patient, you would say, "Ms. Jones, you  
18 are now all well." And Ms. Jones says, "But what  
19 about this?" And that is the challenge we have to  
20 be thinking about.

21 What are the most common symptoms in  
22 non-remitted responders? This is that group

1 earlier that had some improvement or significant  
2 improvement, but not in remission or remitters.  
3 And you can see all these symptoms at baseline, and  
4 then at post-treatment, they continue.

5 One of the other things that we have not,  
6 again, done a significant enough understanding on  
7 is what is the treatment emergent cognitive  
8 dysfunction that occurs with our psychotropic  
9 medications. And that work, actually, there's been  
10 a lot of paucity in that.

11 This is from a self-rated rating scale  
12 developed by Maurizio Fava, CPFQ. And you can see  
13 residual physical and cognitive deficits in people  
14 who were responders to antidepressant treatments.  
15 And you can see mild/moderate severity in terms of  
16 the distribution of these symptoms. Nothing  
17 dramatic on these, but you can see that  
18 word-finding difficulty is indeed a significant  
19 residual symptom for these patients.

20 This is an interesting slide. It's a little  
21 busy, so I'll take a minute to describe to you.  
22 But this is describing the proportion of time

1 patients who meet DSM-4 criteria for major  
2 depressive disorder, and then they are better non-  
3 MDE, major depressive disorder, episode on the  
4 right side. Not surprisingly, for example,  
5 patients who are in the middle of major depressive  
6 disorder, all of them have -- all of them have  
7 depressed mood or diminished interest required for  
8 the diagnosis. But you can see cognitive  
9 dysfunction is quite common in these patients.  
10 What is most dramatic is about 44 percent of the  
11 patients will have residual cognitive dysfunction  
12 even when they're in full remission.

13           This study actually is quite interesting  
14 because this highlights the fact that not all  
15 symptoms totally go away even when you get to  
16 remission. But the bottom line being cognitive  
17 dysfunction is more often seen as residual symptoms  
18 than, say, worthlessness or guilt, or eating  
19 problems.

20           Suicidal ideation, for example, is all but  
21 gone. This tells you that even when somebody is  
22 not in a depressive episode. When they were in a

1 depressive episode, these symptoms were present,  
2 but that is actually not surprising. It's when  
3 they're not in the episode, they still have these  
4 symptoms.

5 I'm going to skip this in the interest of  
6 time. So what are the functional consequences? As  
7 I started with, major depressive disorder should be  
8 diagnosed with the idea that there is functional  
9 impairment. In fact, in 2011 or '12, there was an  
10 ACNP task force report for on remission from the  
11 American College of Neuropsychopharmacology.

12 John Rush is the first author. It describes  
13 the idea that making the definition of remission  
14 based only on symptoms is incomplete. We need to  
15 be adding two things: sustained remissions, so  
16 that these remission symptoms are not just one  
17 valuation but sustained, and make sure that  
18 functional impairment is included in the definition  
19 of remission.

20 So we have not only DSM-5 criteria asking  
21 for us to include functional impairment, but  
22 post-treatment remission from ACNP recommending

1 that we should be doing that. The areas of  
2 impairment I've already said include physical,  
3 psychosocial, occupational quality of life  
4 functioning. And it's important to identify the  
5 contribution of cognition to the functional  
6 impairments.

7 Cognition mediates function is not very  
8 surprising, at least in -- and Carlos actually  
9 elegantly showed that not only that, but you can  
10 objectively show that in neuroimaging tasks. But  
11 increasing evidence is associated with this.

12 There's no consensus, unfortunately, to date  
13 on specifying the relationship between particular  
14 domains, as in if you have difficulty with working  
15 memory, what is the impairment as opposed to if you  
16 have just processing speed difficulty. But we have  
17 more data on work impairment and occupational  
18 functioning.

19 We know that on an average, depression adds  
20 approximately 4 hours per week to health-related  
21 lost productive time, and one-tenth of the  
22 workforce reports being depressed. The question of

1       how much of this should be objective and how much  
2       of it is self-report is a big question. In an area  
3       of my interest, I've tried getting work  
4       productivity data objectively from an employer;  
5       very hard, and therefore often we end up depending  
6       on self-report.

7               There's a very large study done by Ray Lam  
8       in Canada. And you can see clinically, in terms of  
9       self-report, the amount of interference that  
10      patients report from their difficulty with  
11      concentration is very much comparable to a number  
12      of other symptoms; obviously not the main core  
13      symptoms but a number of other symptoms of major  
14      depressive disorder, suggesting that at least with  
15      self, referentially, they see the difficulty they  
16      find, face, in terms of functioning because of  
17      concentration difficulty.

18             Functioning correlations have been seen many  
19      times. This is an interesting data set, again,  
20      where the remission with no residual symptoms were  
21      compared with people who had remission but with  
22      some residual symptoms. If you look consistently,

1 a number of domains are functioning on the social  
2 adjustments rating scale, patients with remission  
3 below residual symptoms compared to patients with  
4 remission with residual symptoms, a small study,  
5 but still very consistent findings. Those with no  
6 residual symptoms function better than those with  
7 the residual symptoms.

8 Measurement, this is the big challenge for  
9 us. We do a literature review on this like I did  
10 to get ready for this. Most of our rating  
11 instruments that we use for defining outcomes use  
12 things like MADRS, HRSD, PHQ-9, and QIDS-SR.

13 The number of items that are scored for  
14 cognitive function in these are much less. In  
15 fact, QIDS-SR was developed by John Rush at our  
16 institution, and that actually goes into much  
17 further detail on sleep than on cognitive function,  
18 recognizing, for example, in that rating scale that  
19 sleep assessment is much more important.  
20 Similarly, I think we have to do better with our  
21 outcome measures for cognitive function.

22 Are the depressive symptoms and cognitive

1 symptoms correlated? The short answer is yes. The  
2 longer answer is poorly. So if you look at each of  
3 these symptoms and look at correlations with memory  
4 or recall, or focus/sustain attention, you can see  
5 that all of these symptoms actually are correlated  
6 but vary weekly, again suggesting that cognitive  
7 function or cognitive performance has an  
8 independent presence in patients with major  
9 depressive disorder.

10 So what about targeted treatments? That has  
11 been already mentioned at the beginning, that not  
12 much has been done on it and how do you incorporate  
13 measures into definitions of remission. I will  
14 give you a very small example from a very small  
15 study we did that is non-pharmacotherapy to, again,  
16 highlight this idea of the effect of treatment on  
17 cognitive function. As you know, exercise has been  
18 shown to be beneficial for cognitive function.

19 We did a study with high-dose exercise  
20 versus low-dose exercise. The primary study, which  
21 was the efficacy study, was very small as you can  
22 see and showed some benefit for the high does, but

1 the effect size was not dramatic for the sample  
2 size. But we did cognitive function in that study  
3 and the CANTAB that measured attention, visual  
4 memory, executive function, set shifting, and set  
5 planning. This is a sample, so a small sample, 39  
6 patients, 20 in the low dose and 19 in the high  
7 dose. And there was no significant correlation  
8 between depression symptom severity and improvement  
9 on cognitive tasks on that.

10 When we looked at specific performance on  
11 the CANTAB -- so these are just to orient you.  
12 These are errors that people make on the 4-box  
13 task, or 6-box task, or 8-box task. And the fewer  
14 errors you make, the better obviously.

15 So you can see that the high-dose group  
16 consistently performed better than the low-dose  
17 group in this study, suggesting that if you have  
18 the right dose of exercise that it can have a  
19 positive improvement on cognitive function over and  
20 above what you see with symptom change.

21 So we know remission should include  
22 improvement in function, work productivity, and

1 cognition. Traditional outcome ratings do not  
2 measure cognition adequately. Functional recovery,  
3 obviously I've said enough about it. But I think  
4 with the return to productive work and social  
5 functioning, it should be something we have to  
6 start thinking about.

7           Whether we do that by doing clinically  
8 significant change analysis or normative comparison  
9 is going to be the task for us to really start  
10 thinking about this to know if it is good enough  
11 improvement or not. I do think that we need not  
12 only statistically significant but clinically  
13 significant effects.

14           Other group organizations like the CANMAT  
15 group in Canada have started recommending to their  
16 regulatory agencies that measurement-based care or  
17 evaluation of outcomes for new treatments should  
18 include functional outcome measures. So we are not  
19 the only one in the world thinking about this.

20           In conclusion, I think what I'm going to say  
21 is that for at least half, or 40 to 50 percent of  
22 patients, cognitive dysfunction, either subjective

1 or objective, is a big significant problem. This  
2 is associated with greater illness, severity, and  
3 poor functioning. Patients with cognitive  
4 dysfunction are less likely to improve with placebo  
5 than major depressive disorder. Target functional  
6 recovery, including cognitive dysfunction, should  
7 be something we should be thinking about.

8 We should evaluate specific impairments  
9 across domains and then the more trickier things  
10 like greater satisfaction with life. We should  
11 assess it for an adequate period of time. Whether  
12 you can do that over one assessment or more is  
13 something that we as a field will have to decide.  
14 I'm going to stop here. Thank you.

#### 15 **Clarifying Questions**

16 DR. PICKAR: Thank you very much. We have  
17 time to take a few clarifying questions, and then  
18 we'll bring all the speakers back and have more  
19 opportunity to discuss with them.

20 Does anybody have a -- Dr. Stein has a  
21 question.

22 DR. STEIN: Actually, I have two.

1           Hi, Madhukar. Thank you for the talk.

2           DR. TRIVEDI: Hi.

3           DR. STEIN: No doubt it's really important  
4 to focus more than we have in the past on  
5 functioning in depression. But it's true, is it  
6 not, that you can meet diagnostic criteria for  
7 major depression on the basis of distress. So for  
8 example, you can have somebody who is just  
9 absolutely miserable and in pain but functioning  
10 pretty well, and they still meet diagnostic  
11 criteria for major depressive. I think you had  
12 said it was all about -- you needed functional  
13 impairment.

14           Is that -- what's the case?

15           DR. TRIVEDI: Right. If you are  
16 significantly stressed with that and you don't have  
17 any functional impairment, I'm not sure if that is  
18 something I see clinically. But you're right. It  
19 could be that you could have just significant  
20 distress associated with all your symptoms but no  
21 functional impairment.

22           DR. STEIN: So in that way, it's not that

1 different than certain kinds of pain that we treat,  
2 where usually --

3 DR. TRIVEDI: Correct.

4 DR. STEIN: -- they are associated with  
5 functional impairment. But in this case, we're  
6 also treating emotional pain sometimes.

7 I agree with you completely about the need  
8 to focus more on cognition and depression. You  
9 made a lot of points about cognition, cognitive  
10 dysfunction being worse in people who are not  
11 remitted. And I'm just wondering, many of the  
12 points that you made about cognitive dysfunction,  
13 could I have substituted the word "insomnia" and  
14 come up with kind of the same set of conclusions?

15 Are the things you said specific to  
16 cognitive dysfunction or are there other symptoms  
17 that go along with non-remission and poor  
18 functioning? What's special about cognition I  
19 guess is the question.

20 DR. TRIVEDI: Absolutely. There are two  
21 things that are true. One is not all symptoms are  
22 as often seen in the residual status even when

1 somebody achieves remission. But it is not  
2 exclusively cognition, so you're absolutely right.  
3 And sleep, anxiety, and cognition are the three  
4 most commonly seen. And if you want to include  
5 four, then lack of energy or apathy are the four.

6 So you're absolutely right. That is not the  
7 only symptom, but the large majority of other  
8 symptoms disappear. And I showed you the ones that  
9 disappear. But you can also still find patients  
10 who are fully remitted and still have significant  
11 anhedonia. So it isn't like this is exclusively  
12 cognition.

13 The question of any of these residual  
14 symptoms and its effect on distress of the  
15 patient -- because that sounds like what you are  
16 really interested in -- is actually much more  
17 commonly seen with cognition than you see with  
18 sleep or with other things, but still work needs to  
19 be done.

20 DR. DICKINSON: Thank you, Dr. Trivedi, for  
21 that talk. I have a question about cognition.  
22 We've really been talking about cognition sort of

1 with a capital C, but then woven into the  
2 discussion are mentions of different domains of  
3 cognition. It struck me from the meta-analytics  
4 evidence that you showed that there aren't really  
5 impressive differences in the magnitude of the  
6 depression-related cognitive impairment across  
7 these different domains.

8 Can you comment on that?

9 DR. TRIVEDI: You're right. That's what I  
10 started with, that the magnitude of cognitive  
11 dysfunction we see with depression, it will not  
12 match the magnitude you see with Alzheimer's, and  
13 that is a fact.

14 DR. DICKINSON: But this impairment is  
15 really a generalized impairment. It doesn't seem  
16 like it's specific to memory or specific to working  
17 memory.

18 DR. TRIVEDI: Right. So most of the data  
19 shows it is processing speed, working memory, and  
20 executive function, but there is other dysfunction,  
21 as I so showed you in the specific tasks.

22 DR. DICKINSON: I guess what I'm asking is,

1 is there domain specificity of the cognitive  
2 impairment in depression, or is it really a  
3 generalized sort of all cognition is impaired kind  
4 of an impairment?

5 DR. TRIVEDI: So the short answer is it's  
6 very difficult to disentangle each domain in  
7 cognition, period. So it's not a unique problem to  
8 depression. But if you look at the magnitude of  
9 the dysfunction, then the most often seen, highest  
10 magnitude effects are in processing speed, working  
11 memory, and executive function.

12 DR. PICKAR: Dr. Ionescu?

13 DR. IONESCU: Thanks so much for your talk.  
14 A quick question. Regarding stimulant augmentation  
15 to antidepressants in patients with depression, is  
16 there any evidence that we know of that the  
17 stimulant augmentation can improve the cognitive  
18 domains? Like for example attention, we know that  
19 it improves in patients with ADHD even if it's not  
20 improving the depression per se in patients with  
21 depression.

22 DR. TRIVEDI: Wonderful question, and I

1 think that this dovetails, again, to the earlier  
2 question about domains and the magnitude. We don't  
3 have a large plethora of evidence in all this. So  
4 with lisdexamfetamine, Vyvanse, there is a trial  
5 that is published in Neuropsychopharmacology that  
6 shows cognitive function impairment in people with  
7 residual symptoms who were treated with it versus  
8 placebo.

9 Different studies, unfortunately, you do  
10 different domains of cognitive function, and  
11 therefore, there is some evidence that stimulant  
12 use in that group of patients does improve  
13 cognitive function. Whether it's the same exact  
14 domains or not is a question because we don't have  
15 the data.

16 Similar to this, we don't have large  
17 epidemiological databases to find out what is the  
18 general population level of cognitive dysfunction  
19 in people with major depressive disorder. Most  
20 often, these are convenient samples that we use in  
21 randomized control trials, studies, et cetera, and  
22 obviously more needs to be done to try to

1 understand that.

2 DR. PICKAR: Other questions? Dr. Conley?

3 DR. CONLEY: Thanks again for your  
4 presentation. This is kind of taking off from  
5 these other two questions. I thinking about  
6 developing treatments, which is part of the  
7 question in front of us, I think what I took  
8 away -- but I would like you to kind of underline  
9 this as true or not true -- is that, first of  
10 course, there can be people -- I mean, depression  
11 isn't necessarily just one thing. There can be  
12 people who are mostly depressed because there's  
13 some cognitive dysmetria that turns them into that.  
14 Maybe other people are extremely upset, whatever.  
15 I mean, there are differences.

16 But here importantly for outcomes, what I  
17 think I'm hearing you say is that there are some  
18 people who have persistence in cognitive symptoms  
19 despite the fact that they've had change in their  
20 depression. And you've seen at least some evidence  
21 through small studies that some things might help.  
22 Is that true?

1 DR. TRIVEDI: So that is -- so the first  
2 part is absolutely true, that there is persistence  
3 of cognitive symptoms in people who have  
4 improvements of various degrees, including who  
5 would be declared full remission and, in fact, in  
6 long-term studies who have been outside of a major  
7 depressive episode for some time. Better  
8 treatments, per se, addressing those can and change  
9 that cognitive function is the emerging literature,  
10 and we don't have all the data out.

11 That's what the earlier question on  
12 stimulant addressed. There are some indications  
13 from studies that are beginning to show that those  
14 measured cognitive functions can show improvement  
15 with treatment. But that is really a new field,  
16 and I wouldn't say that we already have that as a  
17 standard or an accepted component. And I assume  
18 that's something you will discuss in the afternoon.

19 DR. PICKAR: You mentioned earlier that,  
20 typically, the neuropsych variables do not  
21 correlate well with recovery or change in  
22 depression. Do any of them? Because they're not

1 all the same. And one of the things we're going to  
2 be looking at are specific ones. That's the  
3 challenge of this and the fun of it. But are there  
4 specific ones that correlate better and others that  
5 really function on an independent path?

6 DR. TRIVEDI: I think that is a very good  
7 question, and I think it dovetails to Dr. Stein's  
8 question. And that is, if the symptoms improve,  
9 which when you look at a responder group or a  
10 remitter group, that means there are symptoms that  
11 improve, in that group of patients, there are a  
12 core set of symptoms that correlate very well both  
13 in terms of at the end as well as the change over  
14 time. And that includes sad mood, anhedonia, lack  
15 of energy, worthlessness, guilt, et cetera. The  
16 symptoms that don't are the ones Dr. Stein  
17 mentioned: anxiety, sleep, and cognitive function.  
18 They do not correlate well.

19 So there is a core group that do, and there  
20 is a group that doesn't. And when you have  
21 response or remission, as Dr. Farchione mentioned  
22 at the beginning, that idea that everything will

1 improve, is true for a core group of symptoms but  
2 not true for these typically residual symptoms that  
3 everybody has talked about in the past. And sleep,  
4 for example, for the longest time was the focus of  
5 that in depression for a long time. Those symptoms  
6 don't seem to correlate as well.

7 DR. PICKAR: Dr. Hinkin?

8 DR. HINKIN: Yes, if I may elaborate on  
9 that, too. I think one of the issues in that lack  
10 of correlation is often the way affective symptoms  
11 or somatic symptoms -- depression -- are measured  
12 differently than cognitive symptoms.

13 If you rely solely upon patient self-report,  
14 I think there is usually a reasonably good degree  
15 of correlation. But if you're relying on patient  
16 self-report for symptoms of emotions, sad mood, and  
17 using psychometric measures with hopefully some  
18 better degree of precision and sensitivity, there,  
19 clearly the correlations totally fall apart.

20 DR. TRIVEDI: I think that is a central  
21 issue and thank you for bringing that up. That  
22 wasn't the focus of my presentation; probably

1 you'll get into it with Dr. Pacheco or in the late  
2 afternoon have you measure what is the contribution  
3 of self-ratings, what is the contribution of  
4 objective ratings, all that is an issue that needs  
5 to be addressed, absolutely. For example, as you  
6 mentioned, rightly, self-ratings are quite often  
7 impacted by the level of symptom severity. So  
8 therefore, if you are not feeling better, you will  
9 say you're not concentrating better.

10 DR. PICKAR: We're going to move on. Thank  
11 you very much, and we'll have an opportunity to ask  
12 all three of the speakers some questions. We now  
13 move to Dr. Pacheco from the National Institute of  
14 Mental Health.

15 **Presentation - Jenni Pacheco**

16 DR. PACHECO: Thank you. I enjoyed that  
17 discussion about cognition because that's what I'm  
18 going to be talking about. Yes, I'm at the  
19 National Institutes of Mental Health. I work with  
20 RDoCument [ph]. My talk won't be focused mostly on  
21 RDoC, just cognition in general and how we assess  
22 that. I'm happy to answer any other questions.

1           My talk is really in three parts, first,  
2           what is cognition, which we kind of just touched  
3           upon, that we might need to all agree on what we're  
4           talking about. How do we measure cognitive  
5           function? And then importantly, how we do we  
6           determine cognitive improvement, which is probably  
7           what we're interested in if we're talking about  
8           treating cognitive dysfunction.

9           Cognition is a multifaceted concept that  
10          encompasses several constructs and processes. The  
11          subprocesses that fall under cognition are  
12          supported by higher level brain functions and are  
13          crucial for interaction with the world.

14          This list here is not meant to be all  
15          inclusive, but it's just to point out that  
16          cognition is really big. There's a lot going on.  
17          So you have things like attention, decision-making,  
18          flexibility, inhibition, knowledge, language,  
19          memory, perception, performance monitoring,  
20          planning, reasoning, and working memory, and other  
21          things. And the boundaries between these are kind  
22          of fuzzy, and they all overlap.

1           You can cut down on this word cloud a little  
2 bit if we talk about executive function, which is  
3 really just an umbrella term for the management of  
4 cognitive processes, and that includes things like  
5 decision-making, flexibility, inhibition, planning,  
6 reasoning, and working memory. But even still,  
7 that means executive function is pretty broad and  
8 there's a lot going on there as well. But it does  
9 make our list a little less overwhelming.

10           When we talk about the cognitive dysfunction  
11 in depression, primarily we're talking about things  
12 like memory, attention, and executive function that  
13 are the domains that are most commonly identified  
14 as impaired in individuals with depression, and  
15 that's where I'll focus a lot of this talk on  
16 today.

17           The impairment with memory is for explicit  
18 memory, not implicit memory, so your memory of  
19 places and things and people, and what was just  
20 said and not any kind of conditional learning or  
21 subliminal memory. It happens in both recognition  
22 and recall tasks, and from a neural standpoint,

1 memory is really the coordination between medial  
2 temporal lobe structures like the hippocampus,  
3 entorhinal cortex parahippocampal gyrus, and the  
4 prefrontal cortex.

5           Some examples of tests that can be used are  
6 delayed match to sample, paired associates  
7 learning, pattern recognition memory, and story and  
8 list learning. So as a quick example, I'll talk  
9 about the Rey Auditory Verbal Learning Test, which  
10 is a list learning that has both an immediate and a  
11 delayed component.

12           For this task, the participant is read a  
13 list of 15 words out loud, and then they're just  
14 simply asked what was on list A. And that's  
15 repeated again and again for five times. And after  
16 that, the participant is read a second list with 15  
17 words and then asked what was on this list B. And  
18 after that, they're asked again what was on list A,  
19 the first list that was read several times. And  
20 then, finally, after waiting 30 minutes, they're  
21 asked again what was on list A.

22           For this task, it takes approximately

1 10 minutes to administer. Of course, you have to  
2 build in that 30-minute delay. And from this, you  
3 can get measures of learning, so how many words  
4 could you remember after the first time you heard  
5 the list; how many words could you remember after  
6 you heard it five times. You get some  
7 susceptibility for interference from distractors,  
8 and you get this idea of how well the memory lasts  
9 over 30 minutes.

10           With attention, the effects that we're  
11 seeing in depression are for this effortful  
12 attention; again, not any kind of implicit  
13 processing. And attention is really the  
14 coordination between other limbic structures, so  
15 things like amygdala, the hippocampus, or the basal  
16 ganglia with the prefrontal cortex.

17           Examples of these tests include choice  
18 reaction time tasks, digit symbol coding, sustained  
19 attention tasks, and continuous performance tasks.  
20 I'll walk through a continuous performance task, or  
21 CPT, which measures both sustained attention and  
22 selective attention.

1           There are a lot of different varieties of  
2 CPT. Each of them has a slightly different  
3 administration time and trial set, but the one I'll  
4 show you today just has a simple simile set of 1's  
5 and 2's. So the subject will just see 1's and 2's  
6 kind of show up on the screen, and every time they  
7 see a 1, they have to push a button.

8           There can be two different types of trials  
9 in this task. There can be a high demand trial,  
10 which will have a lot of 1's and very few 2's. So  
11 by this, we're asking the participant to maintain  
12 constant focus and to be ready to put on the brakes  
13 when they see a 2 and not push a button. So again,  
14 a lot of 1's will come up. They'll be pushing the  
15 button, and when they see that 2, they have to  
16 refrain from pushing the button.

17           This will give you errors of commission, so  
18 times that they push the button when they saw a 2  
19 and they shouldn't have. It measures sustained  
20 attention and has implications for impulsivity. In  
21 contrast, a low demand trial would have a lot of  
22 2's and not many 1's. And here we're asking a

1 participant to focus on the relevant stimuli and to  
2 try not to drift off but to really maintain their  
3 attention.

4           So they're seeing lots of 2's possibly on  
5 the screen, and when a 1 pops up, then they have to  
6 remember to push the button in response. This will  
7 track errors of omission or times they forgot to  
8 push a button when the 1 showed up. It measures  
9 selective attention and has implications for  
10 destructibility.

11           Lastly, executive function, it encapsulates  
12 many higher level cognitive functions, specifically  
13 planning, inhibition, problem solving, processing  
14 speed, and set shifting. This is really the  
15 coordination between the prefrontal cortex and  
16 several non-frontal structures that allow for the  
17 integration of information in order to perform  
18 these tasks.

19           Examples of tests that are used are Stroop,  
20 Wisconsin Card Sorting Test, the Trail Making Test  
21 B, Tower of London, and Spatial Span. As a quick  
22 example, I'll show the Trail Making Test A and B,

1       which measures processing speed, mental  
2       flexibility, and task switching. Generally, we  
3       start with Trail Making A, which is just a simple  
4       connect the dots. We ask the subject to connect  
5       the dots in order, as fast as they can. Then we  
6       give them part B, which involves letters and  
7       numbers. In this case, we're asking them to  
8       connect the dots in order of number then letter, as  
9       fast as they can; so like 1A, 2B, 3C.

10               This is pretty quick to administer. It  
11       probably takes about five minutes to get through  
12       both parts. Part A generally uses a measure of  
13       just plain processing speed, how quickly can you  
14       move through 25 dots. The extra time or the  
15       difference between the two is generally attributed  
16       to the executive function load are then needed now  
17       to switch tasks and kind of maintain where you are  
18       in the letters and the numbers.

19               So that's what we're talking about when  
20       we're talking about cognition in general. But how  
21       do we really measure cognitive functioning in terms  
22       of kind of a clinical trial or a research study?

1       There's a big debate as to whether or not a single  
2       test is valid or if we need a whole battery of  
3       tests. Of course, there are many benefits to  
4       having a stand-alone cognitive test. It's easy and  
5       fast to administer. There's less burden on  
6       participants and study staff. And there is a  
7       simplicity of data analysis in that you only have  
8       really one result to look at.

9               But the question remains is one test enough.  
10       My belief is that the answer is not really. The  
11       entire multifaceted and heterogeneous nature of  
12       cognition is really hard to measure with one task.  
13       We have a slight task impurity problem, so most of  
14       these tests aren't really a pure measure of any one  
15       construct of cognition.

16               So if we think about the Rey Auditory Verbal  
17       Learning that I showed, yes, it's a memory task,  
18       but of course there is some level of motivation and  
19       even auditory perception that's needed. So if  
20       you're not very motivated and you're not paying  
21       attention to the lists, you're certainly not going  
22       to remember the words. If you can't hear the lists

1 very well, you're not going to remember the words.  
2 We have this problem with these tasks and with all  
3 the cognition being so interrelated that it's hard  
4 to have one task really being a measure of anything  
5 purely.

6           Additionally, individuals might score poorly  
7 for different reasons. So think about if we tried  
8 to boil down all of are you healthy or are you a  
9 fit individual by how fast you can run a mile,  
10 sure, that's a good indication, but there's a lot  
11 of different reasons why people are fast or slow at  
12 running a mile, and that one metric just may not  
13 really be enough to determine somebody's fitness  
14 level.

15           Additionally, the multiple measures can  
16 provide converging validities. Every test that you  
17 use has unique psychometric properties, that you're  
18 now going to use to measure the whole cognitive  
19 domain. So then your conclusions about the  
20 cognitive domain are kind of relying on those  
21 unique psychometric properties. With multiple  
22 tests, you can kind of converge some of those to

1 get a little bit more valid or reliable  
2 information.

3 Obviously, as I'm promoting the idea of a  
4 battery of tests, we can talk about a couple of  
5 test batteries that are available or have been  
6 made. The two that I'm going to talk about were  
7 developed specifically for research of  
8 schizophrenia. The MATRICS Consensus Battery, the  
9 Measurement and Treatment Research to Improve  
10 Cognition in Schizophrenia developed a standardized  
11 battery for use in clinical trials of cognition  
12 enhancing interventions for schizophrenia research.

13 The test battery is founded on traditional  
14 neuropsychological tests. It covers cognitive  
15 domains. It includes overlapping and converging  
16 measures for some of the domains, and you get a  
17 specific score for each domain and then an overall  
18 composite score.

19 The seven domains are speed of processing,  
20 attention, working memory, verbal learning, visual  
21 learning, reasoning, problem solving, and social  
22 cognition. No similar test battery standardization

1 has been developed for depression, however, this  
2 MATRICS battery has been used in clinical trials of  
3 pharmacological treatment of depression as well as  
4 some studies of bipolar disorder.

5 The tests in this battery were selected for  
6 their psychometrics rather than their specificity  
7 in terms of brain related systems. For that,  
8 they're a little bit messy. There's overlapping  
9 processes required to perform each test, and it  
10 results in significant part to whole correlations.  
11 So the scores for each domain are highly correlated  
12 to the overall composite score. So you're not  
13 necessarily getting a specific process with any of  
14 these domain scores that you get. The time to  
15 administer this battery is about 60 to 90 minutes.

16 This is just a listing of the cognitive  
17 domains and the tests and a short description that  
18 are involved in this battery. Alternatively, the  
19 Cognitive Neuroscience Test Reliability and  
20 Clinical Applications for Schizophrenia consortium,  
21 or CNTRACS, assembled a list of tasks that assess a  
22 more narrow cognitive process and they demonstrate

1 associations with activation in specific circuits,  
2 and disruptions of those circuits are important for  
3 patients with schizophrenia.

4           These tasks were further developed and  
5 optimized, and the scores on these tests are  
6 modestly intercorrelated. So unlike the MATRICS  
7 battery, there's not as much overlap or  
8 intercorrelation between the domains and these  
9 tests, which suggests that they're assessing more  
10 discrete cognitive circuits and processes. For the  
11 CNTRACS tests, these are the four cognitive domains  
12 that they have: goal maintenance, relational  
13 encoding and retrieval, gain control, and visual  
14 integration, and the tests that are included here.

15           Those are some ways that we can measure  
16 cognitive function, but how do we really determine  
17 cognitive improvement? And this was touched upon a  
18 little bit in the questions after Dr. Trivedi's  
19 talk in to what extent does improvement on a  
20 clinical or lab-based task indicate observable life  
21 gains? If I'm able to perform Trails B 10 seconds  
22 faster, have I gotten a better job? Am I happier

1 with my life? Am I doing better out in the world?  
2 Probably not.

3           Functioning is multiply determined, so  
4 although these scores are associated with ratings  
5 and functioning, they account only for one-third  
6 approximately of the variance associated with the  
7 functioning of individuals.

8           There is currently no empirically based  
9 threshold to determine the degree of change on a  
10 cognitive test that predicts a meaningful change in  
11 everyday function. So if not 10 seconds, is it  
12 12 seconds? We don't really know how those changes  
13 really relate to everyday functioning.

14           So how do we assess everyday functioning?  
15 Can we just ask a participant if their functioning  
16 has improved? The unfortunate answer is probably  
17 no. For individuals with bipolar and  
18 schizophrenia, there's a strong inverse  
19 relationship between depression severity and  
20 self-ratings of functioning. So the worse their  
21 mood symptoms are, the worse they're going to say  
22 that their functioning is.

1           Kind of following that, treatment of the  
2 mood symptoms can improve these self-ratings of  
3 function without any actual improvement in  
4 cognitive ability. So they're feeling better about  
5 the world. They feel better about themselves.  
6 They're going to rate themselves a little bit  
7 better regardless of how they're actually  
8 performing.

9           There's evidence that ratings of functioning  
10 made by either a high contact clinician, a close  
11 caregiver, or family member are more accurate and  
12 maybe more reliable. Obviously, those are a little  
13 bit more difficult to obtain, and not every person  
14 is going to have someone who can give that kind of  
15 rating for them.

16           There are some novel methods of passive data  
17 collection, so wearable devices or ecological  
18 momentary assessments may help resolve the  
19 discrepancies between self-report and actually  
20 functioning. But it is kind of a big question, and  
21 I am also glad I'm on this side and not on that  
22 side to figure out how we know if functioning has

1 improved.

2 Here's just a sampling of some data that was  
3 presented for patients with bipolar disorder. The  
4 darker blue bars are patients with cognitive  
5 complaints, and the green bars are patients without  
6 cognitive complaints, and the lighter blue are the  
7 controls.

8 In this study, they did a whole bunch of  
9 tests. I'm just giving you an example. But pretty  
10 much, the trend was that there was no difference at  
11 all between the patients who expressed cognitive  
12 difficulties and those who did not. So again,  
13 their self-report or their inference on how they're  
14 doing is not great.

15 In that case, is a clinical objective  
16 measure more informative than a self-report? Since  
17 self-report can be dependent on mood state, it can  
18 be a little bit unreliable and kind of vacillate  
19 back and forth. But I guess the big question there  
20 is, is cognitive improvement that's detected only  
21 by a test and not noticeable to the patient an  
22 acceptable outcome? So again, if you make me do

1 Trails B faster by 10 seconds but I don't really  
2 notice it, am I better? It's something that  
3 probably they'll need a little bit of  
4 self-awareness about to feel like they've gotten  
5 any improvement.

6           There aren't many studies that show data  
7 that indicate the extent to which improving  
8 someone's subjective sense of their cognitive  
9 abilities is really due to any kind of actual  
10 improvement in functioning. But there are a couple  
11 of studies, specifically on schizophrenia, that  
12 show that their misestimation of ability was the  
13 strongest predictor of real-world functioning. So  
14 patients who were not able to accurately estimate  
15 their own functioning or their own ability were  
16 shown to have less real-world functioning.

17           What that means is that that might be a  
18 target or a place for intervention, where if you  
19 can get patients to be a little bit more accurate  
20 with the understanding of their own limitations,  
21 they might actually then be functioning in the real  
22 world better. Even if their performance on the

1 tests are low, if they are aware of that, their  
2 real-world, everyday functioning might actually be  
3 better. If they don't have that awareness, then it  
4 seems like their real-world functioning is a little  
5 bit worse.

6 So another important thing to think about as  
7 we're talking about how to address cognitive  
8 dysfunction in depression is how much impairment  
9 can we actually detect with these tests. Cognitive  
10 impairment in other mental disorders, such as  
11 schizophrenia, can be severe, so about 1 to 2  
12 standard deviations below healthy controls.

13 As Dr. Trivedi said, too, cognitive  
14 impairment in depression, while it can be impactful  
15 to the patient, it may be less obvious on some of  
16 these tests, which could be deemed to easy so that  
17 they're kind of having these ceiling effects and  
18 performing too well, and you won't actually see  
19 much of the impairment.

20 So the tests that are chosen to assess those  
21 should be sensitive enough to detect the slight  
22 changes in cognition and potentially should have

1 procedural modulations that you can make them  
2 harder or more sensitive as needed for your  
3 participants.

4 I said it was only three parts, but I feel  
5 like I've kind of led us down this dark road of  
6 despair. So we can put it all together, I would be  
7 a little bit remiss if I didn't talk about the  
8 Digit Symbol Substitution Test. This has been  
9 widely used as a broad-band quick and sensitive  
10 measure of cognition in depression and other mental  
11 disorders as well. So this is just a sample of it.

12 For this test, each digit has a symbol  
13 associated with it, and participants are asked to  
14 fill in the associated symbol for each digit in a  
15 given time frame. So they get out about 2 minutes,  
16 and they get to go as far as they can. And their  
17 score is how many correct symbols they were able to  
18 put down. It is said to measure executive  
19 function, speed of processing and attention, and it  
20 is a pretty robust test of cognitive ability.

21 As I said, it has been widely used, and it  
22 could be considered for inclusion as an implicit

1 comparison to previous studies, but I don't think  
2 it's a stand-alone measure for cognition. There's  
3 still a lot of variation that we could see. You're  
4 not necessarily getting the breakdown of how you're  
5 giving them 2 minutes, and you're saying has your  
6 cognition -- where do you fall in this 2 minutes.  
7 So if we had a couple of other tests that measured  
8 other aspects of cognition as well, they should be  
9 included in the study.

10           Particularly, we should maybe focus on some  
11 measures derived from cognitive neuroscience, which  
12 will assess more narrow aspects of cognition, so  
13 you can actually see which areas you're having a  
14 deficit and see which areas you've improved, as  
15 opposed to the tests that are a little bit more  
16 intercorrelated.

17           The general conclusion here is that there's  
18 probably no one test or set of tests that are good  
19 for every study of cognition or cognitive  
20 dysfunction in depression, but there is a pretty  
21 good way to figure out how to select your tests.  
22 So appropriate measures should have evidence of

1 valid behavioral and cognitive function. They  
2 should have evidence of impairment in depression.  
3 They should have evidence for an implementing  
4 neural system. And they should have links to the  
5 mechanism of action of the pharmacological agent at  
6 hand. So as long as these things are taken into  
7 account, then they're probably any number of tests  
8 that could serve to help figure out cognitive  
9 improvement is happening. Thank you.

#### 10 **Clarifying Questions**

11 DR. PICKAR: Thank you very much. We'll  
12 take some questions now, and then we're going to  
13 bring the whole group up. But how about questions  
14 of Dr. Pacheco? Yes, Dr. McMahon?

15 DR. McMAHON: Thanks for that really  
16 informative overview. You made the point that  
17 subjective measures of cognition are affected by  
18 mood state, which certainly makes intuitive sense  
19 and squares with clinical experience. But are the  
20 objective measures also affected by mood state?  
21 What are the data on validity of objective measures  
22 during a major depressive episode?

1 DR. PACHECO: I mean, yes, they're certainly  
2 going to be affected by the mood state. I  
3 don't -- off the top of my head, I don't know what  
4 the data -- Dr. Trivedi might have better data  
5 about the actual validity of some of the studies.  
6 But I think that's part of the big problem, is that  
7 it will certainly also affect your objective  
8 measures.

9 If you're having trouble concentrating, your  
10 attention's going to be poor. Presumably, then if  
11 you're able to address the mood symptoms, some of  
12 these may be corrected. So if you're able to  
13 address the mood symptoms, then your  
14 concentration's better, you might have better  
15 attention, and your test scores will improve a bit.  
16 So that's all.

17 DR. GRIEGER: Tom Grieger. I'm kind of  
18 struck by slide 24 that only the changes on  
19 cognitive testing with I guess objective tests only  
20 account for a third of variance in actual  
21 functioning. Do you recall what specific patient  
22 groups were being studied by Depp and Bowie?

1 DR. PACHECO: Off the top of my head, I  
2 don't. Sorry.

3 DR. GRIEGER: That's fine. I just wondered  
4 if it was mood disorders versus primary psychotic  
5 disorders. And I guess the other question goes  
6 along with that. Even though it does account for  
7 that limited amount of variance in performance, is  
8 there a specific aspect of cognition that's most  
9 specific to performance? I'm thinking executive  
10 function versus memory versus attention level.

11 DR. PACHECO: I mean, again, I think  
12 Dr. Trivedi might have some more experience with  
13 this because I don't have as much experience with  
14 depression as I do with cognition. But there are  
15 certainly -- I believe the memory or even some of  
16 the attention deficits that we're seeing with  
17 depression, once the mood symptoms are addressed,  
18 those kind of remit themselves and we don't see  
19 them as much. And some of the executive function  
20 or processing speed, working memory issues, those  
21 might persist a little bit beyond the treatment of  
22 the symptoms.

1           So I think they certainly are working  
2 differently in that some of them will last past the  
3 mood symptoms, and some will clear up with the mood  
4 symptoms.

5           DR. GRIEGER: Thank you.

6           DR. PACHECO: Sure.

7           DR. PICKAR: Dr. Narendran?

8           DR. NARENDRAN: I just have a question -- I  
9 know like in schizophrenia, you have these two  
10 batteries, and in ADHD, you potentially have some  
11 battery tests. At least based on schizophrenia and  
12 ADHD literature, for a particular therapeutic -- I  
13 mean, batteries are good to delineate patients  
14 versus controls and those kinds of things. But if  
15 you're talking a specific therapeutic, how  
16 well -- is the effect size any better in detecting  
17 improvement if you use a battery as opposed to  
18 using a single test or a few tests?

19           DR. PACHECO: Yes. I mean, I think there's  
20 something to be said; if the tests that you're  
21 using is shown to have this impairment in  
22 depression and it relates to the system or the

1 neurological functioning that you think you're  
2 addressing, then, sure. I think a more focused  
3 test or group of tests rather than a global general  
4 battery is probably a good idea.

5 DR. NARENDRAN: Is there evidence for that?  
6 Like, for example, the MATRICS detects 0.4  
7 improvement effect size versus if they just use  
8 Wisconsin Card Sorting, 0.15.

9 DR. PACHECO: I don't have those numbers off  
10 the top of my head, so I don't know them. Again, I  
11 think that it would just be that you want to make  
12 sure that you're assessing enough of cognition to  
13 make that claim. So if you can improve the  
14 Wisconsin Card Sorting slightly, what aspect of  
15 that are you improving and was that due to just  
16 they got better at figuring out how to do Wisconsin  
17 Card Sorting or are they actually able to shift  
18 with the rules better?

19 I think you have to be sure that the  
20 improvement you're seeing is actually what you're  
21 claiming it would be. And one test, it's a little  
22 hard to make that claim.

1 DR. PICKAR: Dr. Stein?

2 DR. STEIN: Thanks. I also appreciated your  
3 presentation. So help me understand the statement  
4 you made towards the end, or at the end, that no  
5 single measure would be good enough for these  
6 purposes. I think you used the example of the  
7 Digit Symbol Substitution Test. That, for example,  
8 is a test that does a pretty good job. It's  
9 tapping into processing speed. It's tapping into  
10 some aspects of attention, executive functioning.

11 Granted, there are lots of things that  
12 influence that, but at least those functions are  
13 covered. It's quick. So if you were going  
14 to -- and more complicated isn't always better,  
15 right? So we're not always in a situation where we  
16 could administer a whole battery of tests. What  
17 would be wrong with using a measure like that?

18 DR. PACHECO: I think if you're trying to  
19 say that you're improving cognition, which is a  
20 huge overarching thing, and you've improved it by  
21 getting a score better in 2 minutes, as something  
22 that measures speed of processing, attention,

1 executive function, all these things, what is your  
2 improvement -- you know, what is your improvement  
3 based on? It's hard to say from a 2-minute test  
4 that measures potentially five different things.  
5 It could be due to anything.

6 DR. STEIN: I guess my frame of reference is  
7 how we measure depressive symptoms, which is  
8 not --

9 DR. PACHECO: Not great.

10 DR. STEIN: -- even as good as that.

11 DR. STEIN: Right, which RDoC is trying to I  
12 think -- and putting cognition into depression,  
13 we're talking about two kind of huge, heterogenous  
14 behemoths butting heads. It's a hard question, but  
15 important.

16 DR. PICKAR: Dr. Hinkin?

17 DR. HINKIN: Yes, if I just may expand on  
18 Dr. Stein's point. I think one of the crux of  
19 these issues is the amount of time invested versus  
20 the amount of payoff you get. And certainly, if  
21 one only was able to use a single test, because you  
22 only had 2 minutes with the patient, then perhaps

1 something like the Digit Symbol Test would be a  
2 fine choice. And now, I guess, if you only had one  
3 question to ask a patient to determine if they're  
4 depressed, how is your mood is probably the one  
5 question you would ask. And it would probably be  
6 better than any other single question, but it might  
7 not be sufficient to really capture all the  
8 components of it. That's kind of the trade off  
9 that I guess we'll be talking about this afternoon.

10 DR. PICKAR: Dr. Conley?

11 DR. CONLEY: Just one thing, and thanks.  
12 This kind of touches on what we're talking about  
13 right now, is that for the MATRICS and other  
14 batteries in schizophrenia, some thought was put in  
15 to what could a person with schizophrenia actually  
16 reasonably do.

17 DR. PACHECO: Right.

18 DR. CONLEY: And that's kind of what I'm  
19 wondering here for a battery. I mean, you're an  
20 expert in the area, so do you have some idea of  
21 what's reasonable, not so much as single tests. I  
22 mean, that's kind of the easy question. But is it

1 15 minutes of examination, 30 minutes of  
2 examination? My worry is with a depressed person,  
3 there's something about just the challenge of  
4 completing 90 minutes that is not only going to be  
5 a challenge to actually do, but it's going to be  
6 terribly correlated with their depression itself.  
7 So any idea about that?

8 DR. PACHECO: Yes. I think it's hard to  
9 pick exactly -- just for reference, in my  
10 dissertation study, I was working with older adults  
11 with either healthier dementia, and I did a 90 to  
12 120-minute test battery on them. And it was long,  
13 and we had to take breaks, but they sat through it  
14 just for 10 bucks or something, whatever I was  
15 giving them to participate in my study.

16 So it is a burden to sit through something  
17 that long. No one probably wants to do it, but I  
18 don't think it's going to be detrimental or  
19 impossible. I think someone with depression,  
20 cognitively, their impairment is going to be less  
21 than someone with dementia, so it's going to be a  
22 little bit easier to get through some of this.

1       Certainly, if they're not terribly motivated or  
2       having trouble concentrating, it will be a little  
3       difficult. I'm not suggesting that we put people  
4       through a 2-hour battery by any means, but  
5       certainly 15 minutes, half an hour, should be  
6       doable for a participant.

7               DR. CONLEY: One thing, just to follow up on  
8       that -- thanks for thinking of this because I know  
9       it's not easy.

10              DR. PACHECO: No.

11              DR. CONLEY: But also, the thing with  
12       cognitive tests, you're talking about elderly  
13       adults, and I know, in a sense, no one likes these  
14       things because you ultimately wind up failing on  
15       them no matter how good you are. That's kind of  
16       the way you do the test.

17              So I do wonder if that's also -- do you have  
18       any opinion about is that some special burden in  
19       depression, where people already have this  
20       construct that they don't do well on things and  
21       you're giving them a test they ultimately fail.

22              DR. PACHECO: I'm sure it is. Again,

1 because a lot of this work I did was with dementia  
2 patients, and we had a ton of memory tests, and  
3 they're already feeling like they're going to be  
4 bad at that, we had to tell them ahead of time that  
5 I wasn't allowed to give them any feedback, and  
6 they were set up to be hard, and that they weren't  
7 going to do well.

8 I would imagine that a similar type of  
9 reassurance before the test -- I mean, again, when  
10 you're doing some of these, you can't give any  
11 feedback. You can't let them know if they're doing  
12 well or poorly, and kind of reassuring them that  
13 this doesn't mean that they're doing terrible, or  
14 that they're a bad person, or that this is somehow  
15 reflective on that, is probably helpful. But sure,  
16 I'm guessing that if you're giving them really hard  
17 tests for 45 minutes, it's not going to be an  
18 enjoyable experience for anyone.

19 **Clarifying Questions of Presenters**

20 DR. PICKAR: Might we have Dr. Zarate and  
21 Dr. Trivedi come on up here, and we can open up the  
22 questions to all three. And if any of those good

1 folks want to jump in with any responses to  
2 questions that you're hearing, it's all welcomed.  
3 It's a tough discussion we're going to have, an  
4 interesting discussion we're going to have, about  
5 these matters. Ms. Compagni Portis?

6 DR. COMPAGNI PORTIS: Well, in your  
7 presentation -- thank you for that -- you mentioned  
8 one really important piece, which is that a high  
9 contact individual is going to get better data and  
10 better information, and yet we keep talking about  
11 how do we do this briefly and what's one test that  
12 will show.

13 I know it's kind of the question of the day,  
14 but how do we resolve that? Because I think there  
15 isn't -- and I think also, just to add on to that,  
16 we also don't know with people who are  
17 depressed -- even if we test them when they first  
18 come in with depression, we don't know what their  
19 premorbid functioning is. And if you're talking  
20 about people -- you know, we have letters from  
21 people that were highly successful, and they are  
22 aware. Maybe they can do the Trails test. Maybe

1 they can do that, but they know they're not able to  
2 do what they did before.

3 I mean, all these things, we're kind of at  
4 crossed purposes because what we need is a lot of  
5 time to figure this out from someone.

6 DR. PACHECO: Yes. I mean, it's a big -- I  
7 mean, I think every question we ask comes up with  
8 four new questions of how do we handle this and  
9 what does that mean. I don't think there's a  
10 straightforward answer, unfortunately, right now,  
11 but I think -- and I guess that's what your job is,  
12 to figure out.

13 (Laughter.)

14 DR. PACHECO: But I think that the tendency  
15 is to always want to do something that's the  
16 quickest, fastest, easiest, cheapest way to do  
17 something, and that just might not give you the  
18 best answer or the best data. I think especially  
19 with something so broad as cognition and so  
20 heterogeneous as depression, that if you're getting  
21 a bunch of data back and it's questionably valid  
22 and going to be conflicting, it may be a bigger

1 waste of time to have done a 10-minute test and 4  
2 questions than to do a 45-minute test and  
3 25 questions.

4 DR. TRIVEDI: Just a little to add to this,  
5 two or three things. I tend to agree with  
6 Dr. Stein that more is not always better, so two  
7 major issues. One is, we have to be careful. We  
8 are already in our field where we have a research  
9 set of assessments that we don't use clinically.  
10 So we do a 6-hour assessment battery and find a  
11 perfect answer, but it never gets used. So we have  
12 to be careful of that.

13 The second issue is the question on  
14 something like a brief assessment tool is not that  
15 it is an all encompassing, fully formed answer to  
16 the entire set of questions. The idea is if that  
17 assessment battery can -- even if it's  
18 brief -- give you a good enough -- a very good  
19 signal for a component, that is worthwhile thinking  
20 about because MATRICS is fantastic.

21 Schizophrenia is not my field, but I'm not  
22 aware of any treatment that has, therefore, been

1 able to show any effect. So the fact that we have  
2 more complete universal understanding doesn't get  
3 us that.

4 Secondly, I think this issue of effort is  
5 very complicated. We are not the only field that  
6 has to struggle with it. So if you're doing  
7 pulmonary function tests, the patient, how much  
8 effort they put in actually goes into it, but the  
9 pulmonologist doesn't say, well, then I'm not going  
10 to use a primary function test. We have to balance  
11 that with if we can do that in the preponderance of  
12 patients.

13 So we have to think about that as we move  
14 forward; otherwise, we are stuck in this 4-hour,  
15 6-hour neuropsych battery that helps us understand  
16 where the deficit is at the neuronal level but  
17 doesn't get used. So how do we draw -- which is  
18 why she is so generous that this is your headache.  
19 But it is something worth thinking about. If we do  
20 something that is so elaborate but doesn't get us  
21 output -- so --

22 DR. PICKAR: The question of treatment,

1       which is so fundamental, Carlos, from the imaging  
2       point of view, how much of this is state dependent  
3       in the sense of a treatment, related to actual  
4       treatment? Is there data there?

5               DR. TRIVEDI: Can you repeat?

6               DR. PICKAR: I'm trying to tease apart  
7       treatment, looking at these areas. We're looking  
8       at the pathophysiology and localization of sites  
9       and so forth for these areas of dysfunction. We  
10       know mood improves. Does that  
11       pathophysiology -- do those models have anything to  
12       tell us about their clinical measures, which are  
13       really neuropsych measures as well?

14              DR. ZARATE: No. Good, well-controlled  
15       studies are very few just on cognition, and linking  
16       cognition and imaging and treatment, very few if  
17       any.

18              DR. PICKAR: Very few.

19              DR. ZARATE: If any. So in the problem  
20       areas of those existing, many are post hoc, so  
21       you're taking advantage of a data set, and you look  
22       at --

1 DR. PICKAR: That's right.

2 DR. ZARATE: It's not the same as you  
3 require to go into a trial with cognitive  
4 impairment. So here, a lot of times -- here we're  
5 talking about the general battery, but it's clear  
6 that many specific domains are not affected in  
7 depression, and it varies from subject to subject.  
8 So you could end up with not enough variance, so  
9 you might have to require that prospectively to go  
10 into trial. There's very few if any data on that.

11 DR. PICKAR: Very little data to help us  
12 there.

13 DR. ZARATE: Very little, yes.

14 DR. PICKAR: Earlier when I asked you,  
15 Dr. Trivedi, which of the neuropsych measures  
16 co-vary with depression, in general, they don't  
17 necessarily. Are there some that are tracked with  
18 depression better than others? That's what I was  
19 asking you before, whether it's the Digit Symbol,  
20 or whether it's Trails, or whether it's any of  
21 them. I just don't know that answer. Do any of  
22 them track with depression and some stick out?

1 Wisconsin Card Sorting may stick out. I don't  
2 know.

3 DR. TRIVEDI: As you saw in the pre-,  
4 post-treatment as well as those in the episode and  
5 non-episode data, a number of -- like DSST showed  
6 change in the episode to non-episode. You're then  
7 bringing in the treatment aspect, which is where we  
8 don't have too much data. So if you then try to  
9 factor in a particular treatment or between  
10 treatments, et cetera, we don't have that data.  
11 But DSST, for example, you saw Trails A does track  
12 with depression and improvement.

13 DR. HINKIN: In response to that --

14 DR. TRIVEDI: Dr. Dickinson? Excuse me.  
15 Dr. Dickinson is up next. We're very formal here.

16 DR. DICKINSON: Thank you.

17 DR. PICKAR: Sorry.

18 DR. DICKINSON: So I guess I'm sort of  
19 struck that we're -- there's a little bit of false  
20 dichotomy here. It's not a choice between using  
21 Digit Symbol, a 2-minute assessment, and a 4-hour  
22 neuropsych battery. The question is, how do we

1 balance validity and test measurement reliability  
2 with burden to the patient, burden to the study  
3 that we're trying to get done? I think there's an  
4 argument to be made that, you know, 15 minutes is  
5 better than 2 minutes, and 30 minutes is better  
6 than 15 minutes in terms of the testing that you do  
7 and the assortment of tests that you use.

8           So you don't have to jump from 2-minute  
9 Digit Symbol to 3-hour neuropsych, and there may be  
10 a sweet spot in the middle there, or it may vary  
11 from situation to situation. But I just think we  
12 need to -- there are other options besides the  
13 MATRICS battery on the one hand 90 minutes and  
14 Digit Symbol on the other.

15           DR. TRIVEDI: Absolutely, and I'm glad you  
16 brought that up, that we shouldn't make this a  
17 dichotomy or false choice. But the point I was  
18 making was slightly on a different plane. If a  
19 particular shorter test gets you enough domains  
20 that you can actually say this a measurable effect  
21 that we then can claim or have thoughts of  
22 improvement in the patient's clinical status, and

1 it does not measure some other domains, that  
2 doesn't mean that this is not an efficient way to  
3 do it.

4 You could say, then, what about the other  
5 domains and whether they improve or not. But that  
6 may be important, may not be, but this particular  
7 set of domains, if we can see an effect, then at  
8 least I think it is worthwhile, even if it doesn't  
9 mean it is showing or measuring all the domains.  
10 That's all I was saying.

11 DR. PICKAR: Dr. Hinkin?

12 DR. HINKIN: Oh, I --

13 DR. DICKINSON: Let me just follow that a  
14 little bit. And I guess the question is, in my  
15 mind, do you know that you are seeing an effect? I  
16 mean, is this measure, a single measure, a reliable  
17 way to get a sense of whether there is an effect or  
18 not? And just in a very simple-minded way, to me,  
19 it would be much more convincing to see three  
20 measures showing an effect than to see one measure  
21 showing an effect. So Digit Symbol and the Rey  
22 Auditory Verbal Learning Test together is a more

1 convincing case than Digit Symbol alone.

2 DR. PICKAR: Dr. Conley?

3 DR. CONLEY: Actually, if it's all right, I  
4 had a question for Dr. Farchione. That was one of  
5 the morning talks --

6 DR. PICKAR: Sure.

7 DR. CONLEY: -- if that's okay.

8 DR. PICKAR: Sure.

9 DR. CONLEY: I had two clarifying things  
10 from what she had mentioned this morning. You  
11 mentioned in questioning what should we have. You  
12 said try to -- and obviously we're trying to  
13 address the issue of pseudospecificity; do you need  
14 evidence versus placebo or do you need evidence  
15 versus an active comparator? The obvious question  
16 versus a clarifying one is like here today, we  
17 don't really have an active comparator if you think  
18 of it as the definition of something that actually  
19 works for depression and cognition.

20 So what on earth would that be is one kind  
21 of clarifying -- just to make sure people know what  
22 they're asking.

1 DR. FARCHIONE: It's not an issue of an  
2 active comparator in terms of improving cognition.  
3 It's a matter of showing that you have one  
4 product -- you have two products that are both  
5 antidepressants, and yet, while you're improving  
6 mood in both situations, now you're showing that  
7 this other product then improves cognition on top  
8 of the mood symptoms.

9 So if you have a difference in a cognitive  
10 measure, even though they both help with mood, now  
11 you've kind of -- it seems like you've addressed  
12 some of this pseudospecificity issue.

13 DR. CONLEY: I can understand -- I mean,  
14 that makes it -- I mean, in essence, I could get  
15 how that makes your job easy if you have the  
16 beating. But not making your --

17 DR. FARCHIONE: But it is a high bar,  
18 though.

19 DR. CONLEY: That's right, but not -- that's  
20 right. It's a higher bar because as we're hearing  
21 here, the comment behind it, of course, is that  
22 there's a lot of things that can help depression

1 and cognition, and therefore, any treatment's going  
2 to make it more variable. So what do you beat?

3 But the second question is -- you've  
4 mentioned a couple of times seeking a claim. And  
5 there's a number of ways of getting things on a  
6 label, including the clinical trials section and  
7 not a claim. And here, it feels as if we're only  
8 talking about a claim.

9 DR. FARCHIONE: Well, actually, that's why I  
10 used that word "claim" as opposed to the word  
11 "indication."

12 DR. CONLEY: Okay, gotcha. So you're  
13 thinking of a claim as anywhere on the label is  
14 partially a claim.

15 DR. FARCHIONE: Right.

16 DR. CONLEY: Okay. Good.

17 DR. PICKAR: Dr. Temple?

18 DR. TEMPLE: To go back to the first  
19 question, this is a very important part of our  
20 consideration. It seems sort of obvious that if  
21 one antidepressant has no effect on this function  
22 and another one is shown to, you're sort of done.

1 But if you really believe this has not been fully  
2 evaluated in the antidepressant world, then finding  
3 out that it works on this component, which may or  
4 may not -- this is what everybody's talking  
5 about -- which may or may not track the other  
6 aspects of depression, conceivably that could also  
7 be a good thing to know if you didn't know it  
8 before. That's why we're putting that question.

9 DR. CONLEY: Good. Thanks.

10 DR. GRIEGER: I think what we're going to  
11 get into this afternoon is really an indication for  
12 a symptom within a syndrome, which we treat  
13 patients with -- we used to only treat syndromes.  
14 Now we treat syndromes and symptoms, but usually  
15 it's with an agent that wouldn't treat the  
16 syndrome. This seems a little different than that.

17 I guess the question is, if this is going to  
18 be a claimed benefit for this drug, is it  
19 reasonable only to compare it against one other  
20 medication versus all other classes of medication,  
21 because you're making a claim for a specific  
22 medication of a specific class as though it only

1 exists in that medication. Granted, the other  
2 companies could come in and do the same trials and  
3 say, well, okay, now I get that indication, too. I  
4 guess the precedent for that is using atypical  
5 antipsychotics to treat bipolar depression. There  
6 are some that are labeled for that and others that  
7 are not.

8           How does this compare with that? I mean,  
9 we're talking about really a different disorder, a  
10 different syndrome. Bipolar depression is  
11 different than bipolar mania. We're not talking  
12 about treating a specific symptom within a  
13 syndrome. And I just wonder what is adequate for  
14 getting an indication for that from a scientific  
15 standpoint. I don't know who could answer that  
16 question.

17           DR. PICKAR: They enjoy the fact that we  
18 have to grapple with that. The speakers said that.  
19 You really hear that.

20           What do you think? Could you share a  
21 thought on that question? That's one we're going  
22 to grapple with.

1 DR. ZARATE: Do you have any thoughts on  
2 that?

3 DR. TRIVEDI: I think that is why I'm  
4 standing and you're sitting.

5 (Laughter.)

6 DR. TRIVEDI: I think the issue for me  
7 is -- I can't go into the details of whether one  
8 product versus the other. I mean, I haven't even  
9 seen the data. My sense is that if something  
10 can -- and I'll use my exercise treatment study  
11 example. If the high dose changes cognitive  
12 function over and above what the low-dose exercise  
13 did, despite the fact that there is some  
14 improvement in symptoms on low-dose exercise also,  
15 that exercise effect on cognitive function I see as  
16 real.

17 Now, for products and the question that  
18 you're asking -- for the FDA, the question is  
19 something that you have to see all the data, so I  
20 don't want to overstep my --

21 DR. ZARATE: I guess, what would you  
22 do -- thinking of anhedonia as a symptom or a

1 syndrome. So would patients with depression who  
2 had predominantly anhedonia, what would you do with  
3 that? Because you could -- and there's actually  
4 research to back it up that you could divide it  
5 into different components. I talked about that,  
6 consummatory, motivational, anticipatory. So would  
7 you give -- and some antidepressants might help  
8 with that, others not.

9 So are you going to require they help with  
10 each component, one component? Are you going to  
11 compare it to other treatments, require all drugs?  
12 So you could end up with -- people now are looking  
13 more, RDoC and others, at how to dissect these  
14 symptoms and syndromes. Lack of drive and pleasure  
15 is linked with poor outcome, anhedonia, with  
16 suicide. We talked about that. So how would you  
17 address that.

18 I'll throw it back to you.

19 DR. TRIVEDI: One backdrop of all this that  
20 really we have to recognize, all of us, is that our  
21 syndromes, while we think of them as sort of  
22 religious texts, are not really based on biology.

1 And therefore, the fact that that is a syndrome,  
2 and why should we pick one or the other would  
3 assume -- we're making the erroneous  
4 assumption -- a lot of us, I do, too -- that the  
5 syndrome is one thing. But the syndrome is made up  
6 of committee work and not based on biology. So  
7 therefore, if a treatment changes one sent thing  
8 like anhedonia, although that has been tried and  
9 not successful, whereas cognition -- then that  
10 becomes something we have to think about seriously.

11 That's the issue, because we are behaving as  
12 if it's a syndrome, then everything should stand  
13 together. And if something else, one of the other  
14 things changes differently, that is seen with  
15 suspicion, is the question. But the syndrome is  
16 not a real entity, although we are living with it.

17 DR. PICKAR: Dr. Ionescu, this is the last  
18 question before break.

19 DR. IONESCU: I'm curious to know what the  
20 three of you think about thinking about cognitive  
21 dysfunction in depression as more of almost like a  
22 biased mismatch or a biased imbalance. Because

1 think about cognitive dysfunction, as Dr. Trivedi  
2 mentioned, in dementias or psychosis, it's  
3 different. It's different.

4 What we see is patients who have depression  
5 can pay attention. They just pay attention to the  
6 more negative things. It's almost like there's a  
7 mismatch or an imbalance in the pleasure and pain,  
8 the happiness and sadness. They can focus on these  
9 things. They just, because of the disease, focus  
10 on the more negative things.

11 DR. PICKAR: Any comment?

12 DR. TRIVEDI: So I agree.

13 (Laughter.)

14 DR. TRIVEDI: I mean, I think that the only  
15 problem with that, which we all understand and  
16 agree, is how do you codify and measure it. Right?  
17 I think that's the challenge we have. So  
18 therefore, we recognize that there is this negative  
19 balance and false bias, or maybe it sometimes true  
20 bias because there's data showing that patients  
21 with depression actually have a more realistic  
22 appraisal of the situation that we do. I think

1 that bias is something not often measured, and it's  
2 very hard to do.

3 DR. PICKAR: I think we'll leave that as the  
4 last comment before break. We're going to take a  
5 10-minute break. We will reconvene at 10:50. And  
6 please remember, no discussion. It's hard to, but  
7 we really want to keep the discussion in here and  
8 very public. It's important. Of the topic during  
9 break amongst yourselves, you can talk about  
10 anything else. We will resume in 10 minutes.  
11 Thank you.

12 (Whereupon, at 10:40 a.m., a recess was  
13 taken.)

14 DR. PICKAR: Okay. Welcome back. I hope  
15 some advisory board members find there way back.  
16 It depends on their cognition, I think, Trails B.  
17 Isn't that Trails, Rob? I think that is.

18 We're moving on with the program.  
19 Dr. Farchione has some comments she'd like to share  
20 with the group.

21 DR. FARCHIONE: Yes. I think that -- I just  
22 wanted to try to pull together and summarize some

1 of the stuff I feel like I heard from the other  
2 speakers this morning, and so to maybe focus the  
3 discussion a little bit. I introduced the idea  
4 that we're struggling with how we're going to  
5 evaluate these applications.

6 It's not just once an application is in  
7 in-house, but if a company comes to us asking about  
8 a development program that they want to start, what  
9 kind of advice are we going to give them: well, if  
10 you look at this in your study; if you look at that  
11 in your study; what kind of patients you want to  
12 recruit, et cetera.

13 Just in trying to pull together some of the  
14 things I heard this morning, from Carlos' talk, I  
15 kind of got the idea that you've got these neural  
16 circuits that are involved in emotion. You've got  
17 neural circuits involved in depression. In some  
18 places, they overlap; in some places, they don't.

19 When I try to combine that with  
20 Dr. Trivedi's talk, what I see is, okay, now you've  
21 got some folks with depression, and you see  
22 deficits in certain cognitive domains you don't

1 necessarily see in all the patients. Is there a  
2 difference in the neurocircuitry that's engaged?  
3 And we don't have that data yet, so we can't really  
4 answer that question.

5 I guess the big issues becomes, with what we  
6 know so far, what can we say about the kinds of  
7 study designs we want to see for a drug seeking  
8 this claim. And then, for the larger field, not  
9 just FDA but for the larger field, what kind of  
10 information are we really going to need in order to  
11 move this forward. So it's a big question for the  
12 group, but hopefully we can focus on the regulatory  
13 piece of it for today because that's going to be  
14 the --

15 DR. PICKAR: I think that's the critical  
16 thing. That's why we're all here, is the  
17 regulatory piece. And I thought there was a lot of  
18 good information there, but how do we pull it  
19 together so we can talk about it and then help you,  
20 because that's why we're here today.

21 You made reference earlier -- let me just  
22 for my clarification, the difference between -- and

1 Dr. Conley was mentioned -- difference between a  
2 claim -- and an indication. Excuse me.

3 DR. FARCHIONE: Right. So for instance,  
4 you've got your different sections of the labeling.  
5 So section 1 is your indications and usage  
6 statement, and that's where you say this product is  
7 indicated for the treatment of depression, or it's  
8 indicated for the treatment of schizophrenia. But  
9 in terms of whether or not a company could get an  
10 indication for a treatment of cognitive dysfunction  
11 associated with depression, I don't necessarily see  
12 that landing in the indications statement until we  
13 have some idea that this is a specific, inseparable  
14 entity.

15 But if you go further down the label into  
16 things like section 14, where you describe the  
17 clinical studies, now you're giving data that  
18 supports the idea that this product is useful in  
19 that condition, but it's not a specific indication.  
20 So folks can still market based on that. They can  
21 still --

22 DR. PICKAR: Actually, that was

1 my -- Dr. Temple?

2 DR. TEMPLE: Well, that's what I was going  
3 to say. Either place, if it's in section 14, not  
4 an indication, you can still present it if it's in  
5 there, in advertising and other places.

6 DR. PICKAR: Dr. Stein?

7 DR. STEIN: This is a question for my FDA  
8 colleagues. Are there examples in the past -- I  
9 think I can think of one -- where you did give -- I  
10 think it was even an indication, expansion of the  
11 indication. The example I'm thinking of is  
12 irritability in autism. What was the thinking that  
13 led you to be able to do that? How did you get  
14 there?

15 DR. FARCHIONE: Well, the issue with autism  
16 is that we don't actually have any treatments that  
17 change the core functions of autism. So in that  
18 case, we have medications that only treat that one  
19 specific symptom. But as far as your social  
20 cognition, and your repetitive behaviors, and all  
21 of the language deficits that go along with autism,  
22 it doesn't really do anything for that. So if it

1       only affects that one symptom, that's the only  
2       thing that you're going to get.

3               DR. STEIN:  So that was actually the  
4       indication they received?  Because I thought it was  
5       an expansion of an already existing indication.

6               DR. MATHIS:  No, that drug had not been  
7       approved for anything in autism that was shown to  
8       be effective for the irritability of autism.

9               DR. TEMPLE:  We sometimes pull out  
10      components of a larger scale.  We've given claims  
11      for certain drugs for schizophrenia to treat  
12      negative symptoms.

13              DR. FARCHIONE:  Well, we -- [inaudible - off  
14      mic]

15              DR. TEMPLE:  Well, we've thought about.  
16      Sorry.

17              (Laughter.)

18              DR. TEMPLE:  We could.

19              DR. PICKAR:  So if that was real news,  
20      Dr. Temple, that would have been really something.  
21      I love that.

22              (Laughter.)

1 DR. TEMPLE: The point is, we take a broad  
2 scale that has both positive and negative symptoms  
3 in them. And we've thought about the negative  
4 symptoms as being sufficiently different from the  
5 positive symptoms so that we don't always expect  
6 them to go all the same way depending, and we've  
7 thought of that as not pseudospecific. It's a good  
8 example.

9 You know, you could have an arthritis drug  
10 that is labeled a drug that treats people with  
11 osteoarthritis that just treats their pain. Well,  
12 that's not the only thing that osteoarthritis does.  
13 There's disability and all that stuff. But we say,  
14 well, if you treat pain, that's okay because it's a  
15 pain drug.

16 DR. PICKAR: Let me read the first question.  
17 The panel -- are we back to seeing no discussion?  
18 Kalyani wants to make sure we do not discuss this  
19 outside of here. We're ready for the first  
20 question.

21 Discuss whether cognitive dysfunction in  
22 major depressive disorder is an appropriate drug

1 development target. So now we're trying to focus  
2 on the target issue and the regulatory issue. If  
3 so, which cognitive domains are mainly affected by  
4 the cognitive dysfunction in major depressive  
5 disorder, and what is the best method to assess  
6 these affected domains?

7 The floor is open. I'd like to hear the  
8 discussion.

9 (No response.)

10 DR. PICKAR: This is not a voting bell.  
11 This is a discussion, and we can go around the room  
12 as well. I can leave it open for people who want  
13 to start it or we can go around the room.

14 DR. NARENDRAN: Well, it seems to me like,  
15 based on the evidence they present, that clearly  
16 cognitive dysfunction exists in depression. Now,  
17 when you put that in the context of psychiatric  
18 drug development and how successful we've been in  
19 the past 20 years, not very well, it seems  
20 perfectly fine and rational to think -- in terms of  
21 what RDoC is doing, it's probably okay to open up a  
22 little bit some of these sub-syndromes.

1           As Dr. Trivedi said, and I agree, none of  
2 these symptom clusters are based in biology.  
3 They're kind of based on a bunch of consensus that  
4 we followed for 40 years. So maybe if we do see  
5 some of these things as cognitive dysfunction as a  
6 druggable target, or suicidality as a druggable  
7 target -- people argue there's plenty of evidence  
8 that lithium can reduce suicide, but it was never  
9 pursued, so maybe it's perfectly fine. I think  
10 your thinking is actually in line with what's  
11 happening, an evolving field, is my thought.

12           DR. PICKAR: Dr. Ionescu?

13           DR. IONESCU: So I agree that with the data  
14 presented today, it certainly looks like there is a  
15 cognitive dysfunction. Whether or not using that  
16 term is necessarily appropriate I'm still sort of  
17 struggling with the idea of cognitive dysfunction  
18 in depression being called cognitive dysfunction.  
19 But nonetheless, it seems like there's definitely  
20 something happening in patients with depression.

21           My concern is -- and I'm just kind of  
22 thinking out loud here, so I'd like to hear what

1 other people think as well. But if we say  
2 cognitive dysfunction in MDD is an appropriate drug  
3 development target, then we have to say sleep is a  
4 target, interests are targets, guilt is target,  
5 energy's target. Each symptom of depression as we  
6 know it currently would all then have targets  
7 individually in depression.

8 That's just -- I'm wondering what other  
9 people think, like would this potentially be a  
10 slippery slope that a drug could get an approval  
11 based on one target.

12 DR. PICKAR: Mitch? Dr. Mathis?

13 DR. MATHIS: I can tell you what we've  
14 thought about that. I think it potentially is a  
15 slippery slope, but it's a slippery slope that we  
16 can temper with what's important to patients. For  
17 instance, the irritability in autism is important  
18 to patients. We thought that was a legitimate drug  
19 target because it had a lot of impact on the U.S.  
20 public health and deserved to be a drug target.

21 I think it can be a completely slopeless  
22 landscape if we say you have to meet everything at

1 DSM and we're not going to consider anything  
2 outside of that to be clinically meaningful. So  
3 we're trying to find a balance, a regulatory  
4 balance, somewhere between you get what you get  
5 that's in the book, and you can't have anything  
6 else, or everything is a target. And I think that  
7 we can use some rational clinical judgment to come  
8 up with those targets.

9           There's a fair amount of evidence that  
10 cognitive problems in depression are a persistent  
11 problem, which seems to be phenomenologically to  
12 say that even when you use the drugs that have been  
13 approved to make the core symptoms better, there's  
14 this persistent problem, and it's a dysfunctional  
15 problem for our patients.

16           So it seems like it could be  
17 potentially -- and that's what we're here today to  
18 discuss -- a legitimate drug target. I don't know  
19 that everything else would be, but there may be  
20 other parts of the depression syndrome that are.

21           DR. PICKAR: Dr. Temple?

22           DR. TEMPLE: Well, that last point I think

1 has always seemed quite important to us. For the  
2 components of one or another tests that all sort of  
3 track together if you think they did, then we might  
4 have considered and might still consider a claim  
5 for one of them pseudospecific. I don't  
6 necessarily think that means it wouldn't be of  
7 interest to know how each one worked, but that's a  
8 different question.

9 But people have presented data in the past  
10 and present that cognitive dysfunction, at least  
11 sometimes, doesn't track very well with the other  
12 symptoms, that it can persist after they're gone.  
13 Well, that alone sort of makes it interesting  
14 because it's not true, maybe, that treating the  
15 overall disease treats them all if some of them  
16 behave differently. I mean, I would bet anything  
17 that sleep doesn't respond the same to all drugs,  
18 too, but one could get into that.

19 DR. PICKAR: The drug target question,  
20 comment on just what is a drug target to you folks.  
21 It has to be defined? It has to -- just help me.  
22 I'm not trying to put words in there. But it's

1 something in this committee that we really need to  
2 have real clarity on, A, about the cognitive  
3 things. But just help us a little bit with drug  
4 target.

5 DR. MATHIS: So what we mean by drug target  
6 is it's something that you should consider  
7 reasonable to intervene pharmacologically to make  
8 better. It's that simple.

9 DR. PICKAR: Well, for example, height in  
10 basketball is a tough one, but that's not a drug  
11 target. You can't change it. The question is, is  
12 this hard-wired and not a changeable problem?  
13 Retardation, you want to see neuropsych -- things  
14 that are not movable. By making this a drug  
15 target, we inherently believe that it's a "movable"  
16 in quotes -- is that a correct way of thinking or  
17 am I off on that?

18 DR. FARCHIONE: No. I think that you're  
19 correct. It doesn't make any sense to develop a  
20 drug for something that's not going to move, right?  
21 To get to your question, in the past, it's always  
22 been a target was some specific disease entity.

1 And in psychiatry, to call it a disease, it's  
2 really more of a syndrome because you've got a  
3 cluster of symptoms that we think track together.

4 Now, we're kind of in this gray area as a  
5 field, where because of things like the RDoCs  
6 initiative, we're really trying to understand the  
7 pathophysiology of the illnesses so that we can  
8 move past these syndromal definitions and move into  
9 actual neurocircuits, pathophysiology, and so we  
10 can understand why is it that a drug might work in  
11 one set of symptoms and not another, but we're not  
12 there yet.

13 So I guess that in this particular instance,  
14 we have this sense that perhaps there's maybe not  
15 cognition as a whole, but maybe elements of  
16 cognition. That's why we're asking about the  
17 affected domains. Maybe there are elements of  
18 cognition that somehow track on different circuits  
19 or somehow have a different mechanism associated  
20 with that that some drugs would address and others  
21 wouldn't. And that seems reasonable to say, that  
22 if you think they're different, that that should be

1 a separate target.

2 Does that answer your question?

3 DR. PICKAR: Well, I don't know if there's a  
4 simple answer, but that's the --

5 DR. FARCHIONE: There's not a simple answer.  
6 That's why we're here.

7 DR. PICKAR: -- conversation that we're  
8 grappling with.

9 Dr. Conley?

10 DR. CONLEY: Well, I like that answer  
11 because it's one where it does make some sense  
12 about what's clinically relevant and what isn't and  
13 also what's targetable and what's not. We have to  
14 really recognize where the state of the field is.  
15 So speaking from my general knowledge as a drug  
16 developer, but also I think something that might  
17 help inform the discussion, where the state of the  
18 art is right now in depression research that  
19 actually isn't true -- to my knowledge -- is that  
20 there are PhRMA companies trying to pick off every  
21 single symptom of depression and trying to get some  
22 special label language for it.

1           To me, treatment resistant depression, the  
2 people don't stay in a persistent remission, what  
3 we saw on the slide is that people with depression  
4 aren't really getting back to a normal life. Those  
5 are important. Suicide is I think important. And  
6 to me, the question is, is cognition a component of  
7 these people not getting back to normal life and if  
8 you help that. Honestly, I don't have a bunch else  
9 on the list; maybe sleep, but that's probably not.

10           So again, actually I appreciate that you're  
11 thinking about what should be there or not because  
12 from the discovery standpoint, guys, we're not  
13 going to look at everything in the world. We just  
14 can't. So we do need some guidance as to what's  
15 worth it.

16           DR. FARCHIONE: And to speak to your point  
17 about sleep, that brings up a different aspect of  
18 pseudospecificity, where we have plenty of drugs  
19 that are out there, the sedative hypnotics that  
20 work in sleep regardless of what's causing the  
21 sleep disturbance.

22           DR. CONLEY: That was the maybe thing. I

1 mean, I don't want to say that there's not a sleep  
2 component of depression, but it's not really a big  
3 target on this area now.

4 DR. FARCHIONE: Right. But we  
5 wouldn't -- we probably wouldn't even consider that  
6 indication for treatment of sleep -- treatment of  
7 insomnia associated with depression because if  
8 you're treating insomnia in that context, you  
9 should be able to treat insomnia in any context.

10 DR. CONLEY: So for the purposes of today's  
11 discussion, I hear you. And to me, you really are  
12 asking the question about cognition, does that make  
13 the list. Right? I mean, that's kind of it.

14 DR. PICKAR: Dr. Compagni?

15 DR. COMPAGNI PORTIS: I had one thought, but  
16 now I have another. This question -- and I think  
17 Dr. Stein brought it up -- about sleep and the  
18 cognitive issues and how they go together is really  
19 compelling because you look at that cluster of  
20 things that don't improve -- sleep and cognition,  
21 and I can't remember what the third thing  
22 was -- that's a really compelling question; what's

1 the combination?

2 I think, absolutely, it's an appropriate  
3 target to look at this. We know from the research  
4 and we know anecdotally that this is a symptom that  
5 continues, and people do continue to suffer from  
6 that. We also know, though, that one depression is  
7 not like another. That's why we already have so  
8 many different drugs for depression, and people  
9 often will go through a number of them until they  
10 find one that fits. There isn't a heterogeneity  
11 about it.

12 The thing that I start to wonder about and  
13 that we also don't know -- and I think it was  
14 mentioned somewhere in our materials -- is, is  
15 there a drug that does help the cognitive aspects.  
16 But also, are there drugs that are hindering the  
17 cognitive aspects, and we don't know that piece  
18 either. I guess I'm not -- I'm just asking more  
19 questions to which we have no answers.

20 DR. PICKAR: That's one of the themes today,  
21 is a lot of questions that aren't all that well  
22 answered. Dr. Grieger?

1 DR. GRIEGER: Yes, a couple of things. One,  
2 I'm not sure how clinicians would use this. I  
3 mean, would you say -- would this be used as a  
4 primary treatment for depression because this  
5 patient has horrible cognitive defects, or would  
6 this be an augmentation strategy?

7 Would this be a replacement strategy? I  
8 mean, I'm not sure how you would choose because  
9 every patient, almost every patient, with  
10 depression has some degree of cognitive complaint,  
11 if you will. Would you test them and see are they  
12 performing poorly on Trails B, and therefore this  
13 would be your primary candidate drug for initial  
14 therapy, or would you take patients who failed it?  
15 That's part of my question.

16 Then getting back to the second part of the  
17 discussion question, I guess I don't know what it  
18 means if a product improves one aspect of cognitive  
19 function but doesn't impact statistically on the  
20 other tests of cognitive function that were  
21 administered to the same patient. I don't know  
22 what to make of that.

1           Granted, all these tests go across domains  
2 of cognitive function, but I don't understand why  
3 it would work on one but not two or three others in  
4 a statistically significant manner. Maybe it's not  
5 a large population to get that, but I don't know  
6 what it means when you get -- it's like studies  
7 where you see where the Hamilton score didn't  
8 improve statistically, but the MADRS score did. I  
9 don't know what to make of that.

10           It's like some of these companies just throw  
11 a bunch of studies out there with the hope that one  
12 of them will have positive results. That's been my  
13 sense across the years. They'll say, well, this  
14 measure improved even though these three other ones  
15 didn't, and I don't know what to make of that.

16           DR. PICKAR: Yes, Dr. Higgins? That was  
17 quick.

18           DR. HIGGINS: That was nice. My question is  
19 what happens -- and this is a naive question. I'm  
20 the consumer rep here, not the scientist. What  
21 happens if this drug is erroneously used with older  
22 adults who have cognitive decline that's really

1 MCI? And what if primary care providers are doing  
2 that, as I say, erroneously? Is there any harm to  
3 that?

4 DR. MATHIS: Well, I think you might be  
5 asking specific questions about the specific drug  
6 product that we're going to talk about this  
7 afternoon. But the indication would be for the  
8 treatment of -- or the claim would be that there  
9 would be depression related cognitive problems,  
10 however we define that. And we haven't settled on  
11 any of that yet. That's why we're here.

12 We usually have some older adults as part of  
13 our development programs, and it would be  
14 considered the same disease with the same cognitive  
15 problem in an older adult. It's up to the  
16 physician to decide if they've got the right  
17 disease or not. You get what you study, and that's  
18 what you would study, and that's what would be  
19 labeled. Could it be used for other things?  
20 Certainly off label it could, but it wouldn't be  
21 indicated for other types of cognitive problems.

22 DR. PICKAR: Dr. Temple and then Dr. Stein.

1 DR. TEMPLE: Some of the questions before,  
2 who would you use it in, have a lot to do with what  
3 kind of studies people do. I mean, for what you  
4 might call the lowest level, they would simply look  
5 at what are the components of the scales that we  
6 now use more intensely. They'd look at  
7 cognitive -- there's already some element of  
8 cognitive function in MD.

9 But they would look specifically at that  
10 component and reach a conclusion about it that  
11 wouldn't represent comparative data with anything  
12 else. It would just say, when people with  
13 depression have this problem, this treats it.

14 We don't always do that, but because we were  
15 worried that these were pseudospecific, it was just  
16 part of the syndrome, why are you singling this one  
17 out? But as all the people here have been saying,  
18 there's enough indication that maybe cognitive  
19 function isn't totally going along with everything  
20 else, doesn't always respond the same way, that  
21 that's not a crazy idea.

22 The concept that seems most interesting to

1 me is treating residual cognitive dysfunction after  
2 the basic disease is better and showing that when  
3 added on to an effective antidepressant now  
4 improves the cognitive function. If anybody could  
5 show that, we'd be home. That would be an easy  
6 thing to put in the label; probably be an  
7 indication. The kinds of studies you do have a lot  
8 to do with what conclusions you reach, and there's  
9 not a lot of track record here.

10 DR. PICKAR: Dr. Stein?

11 DR. STEIN: So I'm confused because I'm  
12 hearing different things about the guide -- not  
13 guidelines but kind of the thinking behind it. If  
14 there's a drug out there that treats insomnia, and  
15 insomnia's a big problem for a lot of people with  
16 major depression, there would be no way, from what  
17 I'm hearing, that somebody could get an indication  
18 for insomnia in major depression because the  
19 thinking is, well, there are drugs that treat  
20 insomnia. That's fine.

21 Is it the case, then, that cognitive  
22 dysfunction is different? What if we had a really

1 good drug to treat cognitive dysfunction,  
2 generally? There'd be no way for that drug to get  
3 an indication for cognitive dysfunction in MDD?

4 DR. FARCHIONE: Not exactly. So for  
5 instance, in the insomnia example, the assumption  
6 is that if you've got a medication that helps you  
7 to sleep, it should work across all different  
8 causes of sleep problems. So because we have so  
9 many things out there that are already approved for  
10 sleep, you would have to show that somehow your  
11 drug is working differently in patients with  
12 depression and that it addresses the sleep issues  
13 that they have specifically but doesn't necessarily  
14 address the sleep issues associated with other  
15 conditions or with just primary insomnia  
16 stand-alone.

17 With this issue for cognitive dysfunction,  
18 because the population that you're studying is a  
19 population of depressed patients, then we can only  
20 really answer the question, at this point, of  
21 whether a product would be working for that  
22 population. Now, if a company came in and had a

1 product that they said, okay, first we'll do this  
2 study in folks with depression; look at this. It  
3 helps cognitive dysfunction in depression.

4 Then they went on and studied it in patients  
5 with schizophrenia who also had cognitive problems,  
6 and lo and behold, it worked there, too, I don't  
7 know where the threshold would be, if we would need  
8 across two conditions or across three conditions.  
9 But at some point, you're going to look at that and  
10 say, hey, this thing maybe deserves a more general  
11 indication, but that's really far down the road, I  
12 think.

13 DR. STEIN: Okay. Then what you are saying  
14 is that the reason you couldn't get a specific  
15 indication for insomnia in major depression is  
16 because there are already drugs out there to treat  
17 insomnia across the board. We don't have drugs  
18 that do that for cognitive dysfunction, so that's  
19 why you're willing to entertain the possibility of  
20 major depression. That's the only logic I'm able  
21 to come up with.

22 DR. FARCHIONE: Yes. I think that's the

1       gist of it.

2               DR. STEIN:  And does it need to also treat  
3       depression?

4               DR. FARCHIONE:  It depends.  Right?  So like  
5       Dr. Temple was saying, if you have something that  
6       you think is strictly a cognitive enhancer of some  
7       kind, but you don't think it's going to be  
8       effective for mood symptoms, well, that's a case  
9       where now because of what you think your drug does,  
10      you're going to want to do an add-on design.

11              So treat the depression first, and now  
12      you've got an enriched population of folks who  
13      their mood symptoms have been addressed but they  
14      still have cognitive problems, and lo and behold,  
15      your drug treats that.  But if you also think that  
16      your drug is useful for both mood symptoms and  
17      cognitive symptoms, now it gets more complicated.  
18      It gets a little stickier.

19              DR. STEIN:  Which is sort of the case we're  
20      talking about here.

21              DR. FARCHIONE:  That we will be talking  
22      about.

1 DR. STEIN: We will be talking about.

2 DR. PICKAR: With regard to this  
3 question -- let me see if I can summarize the first  
4 part, and then we'll get to the second part. The  
5 concept of it is cognitive dysfunction in MDD an  
6 appropriate drug development target? It would feel  
7 to me -- but, please, everybody chime in on this.  
8 I think the panel is saying it is. There are  
9 questions and issues with it, but it sounds like an  
10 appropriate target. Now, exactly defining it and  
11 so forth and so on, yet to be done.

12 Is that reflected in the conversation or am  
13 I off on that?

14 (Affirmative nods.)

15 DR. PICKAR: Okay. So that part, we've  
16 heard a lot about cognition, so back to you guys.  
17 It's an appropriate target. We don't quite got it  
18 all. We're not quite sure what to do with it all,  
19 but it's appropriate.

20 Now, which domains are mainly affected by  
21 cognitive dysfunction in MDD? Should we start  
22 peeling the onion a little bit? What is the best

1 method to assess these affected domains? Do we  
2 know that? So we like cognitive dysfunction. It's  
3 real. It hurts people. It's a target. Now, what  
4 are the domains? Let's start peeling the onion  
5 about what in specific we should be looking at.  
6 What do people think? Murray? Dr. Stein?

7 DR. STEIN: I think if we start peeling the  
8 onion, we're going to get a lot of tears.

9 (Laughter.)

10 DR. PICKAR: Was that your comment, Dr.  
11 Stein? Very nice.

12 (Laughter.)

13 DR. PICKAR: That was very smart.  
14 Dr. Narendran?

15 DR. NARENDRAN: So I think -- I mean, it's  
16 clear that there are some domains, like executive  
17 function and attention. I just wanted to kind  
18 of -- if FDA could give us some history  
19 on -- schizophrenia seems to be much more advanced  
20 or there are two batteries. I read somewhere that  
21 FDA had input into those batteries, what drug  
22 companies.

1           So maybe there's -- is it more appropriate  
2 to have it in a forum where you can have some real  
3 cognitive experts from NIMH. It seems like that  
4 would be a more appropriate forum to come up with a  
5 battery, at least a framework that could be out  
6 there, and then industry can pick and choose from  
7 that framework. Is that in the works or is it in  
8 the plans?

9           DR. FARCHIONE: That's what we're aiming for  
10 at some point. But I think the purpose of today is  
11 we've heard some information from our guest  
12 speakers in terms of data to support various  
13 domains potentially being involved. Do we think  
14 that we've got some kind of consensus around at  
15 least a few of those?

16           I think you're right. Like I was saying,  
17 we're trying -- we really want to come up with a  
18 framework so that we don't end up with just a  
19 MATRICS type battery that we're saying everybody  
20 should do because we've had the MATRICS for a  
21 really long time, and yet we still don't have any  
22 products that are indicated for cognitive

1 impairment associated with schizophrenia.

2 One of the complaints that we've heard is  
3 that perhaps it might be too much; that there might  
4 be some things out there that are able to address  
5 one or two of those domains but not move the  
6 overall score. And I don't want to get stuck in  
7 that rut with this. I want to make sure that  
8 there's some flexibility, that if you have a  
9 product that you think is going to target a  
10 particular domain, and if that domain is  
11 meaningful, I want to know.

12 DR. PICKAR: I'm sorry.

13 DR. NARENDRAN: Can I continue?

14 DR. PICKAR: Finish it, yes.

15 DR. NARENDRAN: It seems like having a  
16 particular battery is problematic because then  
17 you're sort of stuck with it. Also, just to see if  
18 there could be some sort of an outline of what  
19 potentially -- some consensus agreement about what  
20 are the domains. They could be neurochemically  
21 dependent, so maybe there's dopamine-dependent  
22 symptoms that they could pick and then choose, or

1       some glutamatergic specific symptoms they could  
2       pick and choose and just look for an indication for  
3       those symptoms. But I feel like that hasn't really  
4       evolved very well in depression. Maybe there's  
5       something -- we need to accelerate it.

6               DR. FARCHIONE: Right. And that's one of  
7       the things where, like I was saying, the goal is to  
8       come up with this framework. And that's exactly  
9       the kind of set of instructions that I hope to be  
10      able to offer to companies; that if you have a  
11      product that you think targets this particular  
12      transmitter or this particular kind of functioning,  
13      make your justification based on that, so at least  
14      we understand why you're targeting the symptoms  
15      that you're targeting.

16              If you move them, it doesn't mean that  
17      you're going to be able to have something in the  
18      label that says the mechanism of action is based on  
19      yaddi, yadda, yadda, but this idea that you're not  
20      just throwing a bunch of tests on there to see what  
21      sticks. I want this to be a rational way of  
22      approaching drug development.

1 DR. PICKAR: Let's move it. Dr. Hinkin had  
2 a question, I know.

3 DR. HINKIN: Yes, or more of a comment even  
4 in response to what you just brought up. I think  
5 there's a reasonable consensus as to the types of  
6 cognitive deficits that are most likely to be seen.  
7 Actually, I would argue that there's going to be  
8 some degree of cognitive abnormality in any patient  
9 with major depressive disorder, a matter of degree.  
10 But things like speed of cognition, attentional  
11 processing, controlled attentional processing, that  
12 ability to really focus, concentrate, switch  
13 attentional set, clinically effortful measures  
14 provide the greatest degree of difficulty for  
15 depressed patients. They just don't want to do it.

16 Often, if you give a little nudge, they'll  
17 be able to get over the hump and actually perform  
18 adequately on a cognitive test, whereas if they're  
19 not nudged and just give you an I don't know, you'd  
20 be out the door. So either whether that's coming  
21 out of an apathy or anhedonic kind of construct or  
22 not, I don't know.

1           But what I started thinking about, though,  
2           is that it's quite possible, just as there's  
3           different flavors of depression in terms of emotion  
4           and side effects, agitated or vegetative, there may  
5           well be different cognitive deficits in different  
6           types of depression.

7           If that's the case, we might see that that  
8           very thing, where like someone was saying whether  
9           HAMD changes but the MADRS doesn't, or whatever, we  
10          may see a study where there will be subgroups where  
11          speed of cognitive processing is affected or is  
12          improved but not attentional processing and vice  
13          versa. So it may end up being like a marker for a  
14          different typology of depression.

15          DR. PICKAR: Dr. Conley?

16          DR. CONLEY: In thinking of the cognitive  
17          domains and what's the best method of doing it, one  
18          thing that I wanted to emphasize a little bit again  
19          to the FDA's comments is that it's important I  
20          think for the panel to hear from the industry side  
21          of things, that a battery won't get us a drug, from  
22          the MATRICS, to cognition, from negative symptoms

1 and psychosis. We basically know that.

2           So I think the important thing here is when  
3 you talk about the best method of assessing things,  
4 given the objective nature of the way to judge  
5 evidence, it's hard for me to imagine -- unless you  
6 guys can suggest something that I'm not thinking  
7 about, and please do if you can -- something that's  
8 going to sort of like other than a  
9 neuropsychological type test, something you need to  
10 have good statistics on in a sense and the way of  
11 doing it.

12           I think a lot of the measures that we'd  
13 ultimately like to see, which is a marked  
14 functional change, getting back to work or some of  
15 these other things, that's honestly not something  
16 that you can assess before you even understand  
17 what's going on in many ways. People bury so much.  
18 You can set that bar. I can tell you it's just  
19 going to be a super high bar that maybe there will  
20 be some magic differential thing that will get  
21 over.

22           So I would like to impose -- because we've

1 got a bunch of experts in the room here, and we've  
2 heard other testimony -- not only is, for the drug  
3 condition we'll talk about today, the DSST a good  
4 measure, but -- I mean it as more of a general  
5 discussion item -- is anything a good measure?  
6 Will you believe a neuropsychological test?  
7 Because that will always be something that's a bit  
8 hard for the clinician to translate.

9 So it's not as if -- at least, again, to my  
10 knowledge, there isn't one where there's just so  
11 much face validity that people are going to  
12 translate it easily. So it would be helpful, I  
13 guess maybe for today's discussion, certainly  
14 helpful from a drug discovery standpoint, to  
15 understand that.

16 DR. PICKAR: Dr. Compagni Portis?

17 DR. COMPAGNI PORTIS: Yes. I'm, as the  
18 patient rep, always arguing for more time, for  
19 patients to have time with clinicians because I  
20 think we get better data and we get better  
21 responses, as you pointed out, when patients get  
22 that nudge, and they have more time to adequate

1       respond, and for us to really see how well they can  
2       perform, and to really tell us about that.

3               I think what I got out of the discussion  
4       this morning is that any one of these tests, the  
5       DSST or one of these things, doesn't really  
6       adequately tell us what we want to know. And I  
7       think if we're talking about cognitive domains, it  
8       sounds like we agree that attention, and memory,  
9       and executive function are important, but I think  
10      there are other issues when you look at the DSM.  
11      And what matters to patients is really about their  
12      impaired function and social, occupational, and  
13      educational settings.

14             That's where the meaning is. It's like can  
15      you do the Trails test faster or better, but how do  
16      we really hone in on do patients feel like they're  
17      able to function better in their daily lives? And  
18      I don't think we have an assessment tool to do  
19      that.

20             DR. PICKAR: Dr. Higgins? That was the  
21      question?

22             DR. HIGGINS: Yes.

1 DR. PICKAR: That was very handy.

2 DR. FARCHIONE: If I could actually --

3 DR. PICKAR: Dr. Grieger? I'm sorry.

4 DR. FARCHIONE: Could I comment on that?

5 DR. PICKAR: Please?

6 DR. FARCHIONE: So that's one of the issues  
7 that we're struggling with, as well as not just how  
8 do you assess the core, cognitive symptoms, but  
9 also how do you then assess whether or not it means  
10 anything. So this was what I talked about in terms  
11 of do you need a separate functional measure to  
12 ground that in the real world in some way and would  
13 that have to be a co-primary? Would you have to  
14 win on both in order to get a claim or could it be  
15 a key secondary?

16 So you've got this change in some kind of a  
17 scale, and then a change in -- or a change in some  
18 kind of an objective measure, and then a change in  
19 some kind of a scale or performance outcome. I  
20 don't know.

21 For a point of reference, in schizophrenia,  
22 when we're talking about cognitive impairment

1 associated with schizophrenia, we do require a  
2 functional co-primary for that, but the kinds of  
3 deficits that you see in schizophrenia are so much  
4 more severe that the measures that we use to assess  
5 functional impairment in that condition might not  
6 even matter in depression. I figure somebody with  
7 depression, even if they have some cognitive  
8 symptoms, they're probably still going to be able  
9 to read a bus schedule and navigate how to get to  
10 work, you know?

11 DR. COMPAGNI PORTIS: I think it's very  
12 different. We keep talking about these  
13 schizophrenia measures, but I think we're talking  
14 about very different situations.

15 DR. PICKAR: We're going to come back to  
16 this issue before this discussion is over for the  
17 fun. Dr. Temple?

18 DR. TEMPLE: One of my worries about a  
19 functional measure is that it's going to be  
20 confounded by all the other improvements that are  
21 going on in this person. I mean, the person's not  
22 depressed anymore. That must help your function,

1 and all those things. But if you really wanted to  
2 focus on the cognitive thing, you need something  
3 specific enough to do that in the middle of broad,  
4 global improvement, and that's what seems more  
5 difficult.

6 DR. PICKAR: Dr. Grieger?

7 DR. GRIEGER: I think some of these  
8 questions have been alluding to that as well. The  
9 question is what is "this"? Are you going to say  
10 it improves your ability to think and concentrate?  
11 Are you going to say it improves your ability to  
12 perform the DSST? Does it improve your memory?  
13 Because you look at it, just from one of the  
14 slides, it impacts processing speed, copy speed,  
15 visual/motor coordination, motivation, effort, age.

16 Do you say it improves all those things or  
17 how do you phrase it? What are we saying we're  
18 really measuring here other than we have one  
19 instrument that has a finding?

20 DR. FARCHIONE: And we're not necessarily  
21 wedded to the terminology cognitive dysfunction. I  
22 mean, if you've only shown that attention improves,

1 well, you're not going to get cognitive dysfunction  
2 generally because you've only demonstrated one  
3 aspect. That's another thing to consider, how many  
4 different domains do you need before you can  
5 confidently say you've sort of improved cognition  
6 globally? And I have no idea what the answer to  
7 that is.

8 DR. GRIEGER: I think that's the fundamental  
9 question. If any single instrument measures across  
10 multiple domains, then how do you define what it's  
11 truly -- it probably isn't improving all those  
12 domains, but it's improving some domains in some  
13 individuals. How do you phrase it?

14 I mean, ultimately, this is going to come  
15 down to what are the words that are going to say  
16 what this drug does that's not comparably  
17 different, but what it has the capability of  
18 addressing. And I don't know the answer to that  
19 based on the fact that this test covers a broad  
20 domain of measures.

21 DR. FARCHIONE: Well, I think that's why  
22 Jenni was suggesting that it's a good idea

1 not -- even if you don't necessarily have a battery  
2 per se, if you've got this one measure that you're  
3 relying on, if you have a couple of additional  
4 measures that also assess similar domains that can  
5 be either supportive and say that, yes, if you've  
6 got this other measure that looks more specifically  
7 at one piece of the puzzle, that is similar to the  
8 overall results; or if you have several of them  
9 that all support it, you can try to -- you can make  
10 some effort to parse out what you're actually  
11 dealing with.

12 That's where we run into the difficulty with  
13 having one test that is a little bit broad because,  
14 like you're saying, what do you make of it?

15 DR. PICKAR: Dr. McMahon? And then we're  
16 going to summarize on this question and move on  
17 because we're already moving into the other  
18 questions, which is fine.

19 DR. McMAHON: What I took away from the  
20 presentation this morning is it seems like we're  
21 talking about a number of diffusely defined domains  
22 that are subtly impaired with depression and where

1       there isn't really any clear evidence that they can  
2       be measured in a valid way when someone's in the  
3       throes of a depression. So I think it makes it  
4       very hard to say what kind of instrument we would  
5       use.

6               I'd like to see an instrument where someone  
7       has demonstrated it can be validly performed when  
8       someone is depressed, that is a relatively mood  
9       independent measure of cognition. Because right  
10      now, what I've heard, it seems like the cognition  
11      measures and the overall mood state are awfully  
12      intertwined. Think a logical extreme. Somebody  
13      comes to the emergency room with acute abdominal  
14      pain. They have appendicitis. You don't know  
15      that. You can treat that pain very effectively  
16      with an opioid and kill the patient.

17             So I think syndromes do matter when they  
18      have an underlying process. So I think we can't  
19      completely take them apart without being concerned  
20      that we're not really going to be treating the  
21      problem.

22             DR. PICKAR: Syndromes are what clinical

1 medicine is all about. And in psychiatry, there's  
2 no post-mortem to help us. So that's what we have  
3 to struggle with.

4 Let me ask you, Dr. Temple, do you feel the  
5 discussion has identified cognitive domains that  
6 are in MDD? Do you have a sense that we've  
7 been -- I'm trying to piece together the  
8 presentations and the discussion.

9 DR. TEMPLE: Well, one of the big questions  
10 here is whether something like the DSST or some  
11 other tests provides an adequate assessment of  
12 cognitive function. And there has not been too  
13 much discussion of that. Obviously, it's not the  
14 whole nine yards, but is it enough of it so it  
15 represents a plausible effect on this domain?

16 I think that's something we definitely,  
17 eventually are going to need to know about because  
18 people are proposing to use it. And I guess I  
19 haven't heard too much of that. It's possible I  
20 just missed it.

21 DR. PICKAR: Well, the afternoon session, I  
22 think there's going to be more focused

1 discussion --

2 DR. TEMPLE: Okay.

3 DR. PICKAR: -- on the DSST itself. So  
4 let's take away from this the first part of the  
5 question. We all believe it, but we don't quite  
6 have it defined in a simple, concise way. I stand  
7 corrected on that.

8 DR. TEMPLE: Well, remember, the way we used  
9 to think about these things in the older days was  
10 that this was all part of the depression syndrome.  
11 Picking out one of them didn't make any sense  
12 because they were all going to get more or less  
13 better. If you looked at certain ones, you might  
14 believe that. You might think being sad and being  
15 suicidal might go together all the time; don't  
16 separate them. I'm not sure everybody believes  
17 that, but you might think that.

18 But cognitive function is not self-evidently  
19 a consequence of being depressed. Maybe there are  
20 explanations for why it is. So one of the  
21 questions was, is that different enough from the  
22 totality that it deserves individual attention, and

1 I think most people are saying it is. It doesn't  
2 necessarily go completely with the other  
3 components, so it might be separate. There might  
4 be a drug that does better on that than it does on  
5 depression overall.

6 The other thing is I think we're talking  
7 here mostly about antidepressants that might have a  
8 particular effect on that, not a sleep aid or  
9 something like that. So that should be remembered,  
10 too. But I hear -- I'm interested what others  
11 heard -- a fair consensus that there's enough  
12 chance that it behaves differently from the other  
13 parts of the depression syndrome that it's  
14 reasonable to look at it separately.

15 The other thing I just wanted to know, when  
16 we use an HAMD, we're kind of throwing all things  
17 together. We're doing what people are worried  
18 about. We don't try to separate out the  
19 components. We give the total score. And the  
20 question is, is that the best you can do if some of  
21 the components may or may not track.

22 DR. PICKAR: Let's go to the second

1 question. What are the acceptable primary efficacy  
2 endpoints for a claim of treatment of cognitive  
3 dysfunction? Are we along far enough to be able to  
4 identify such primary endpoints?

5 The other comment on your point, Dr. Temple,  
6 which is a good one, neuropsych deficits exist  
7 outside of depression in Alzheimer's disease, and  
8 how much is somebody who you're looking at, who  
9 didn't recover, is sitting with much earlier  
10 deficits that are their clinical characteristics.  
11 Our neuropsychologists do this for a living, and  
12 you certainly see deficits outside of depression.  
13 So this is a tough question.

14 So let's go to this one. How about from  
15 neuropsychology? Any primary acceptable primary  
16 efficacy endpoints? Dr. Dickinson?

17 DR. DICKINSON: So before I talk about that,  
18 I'm going to go back to the last question, briefly,  
19 and just say I think we heard some evidence today  
20 that was presented by speakers and some discussion  
21 from Dr. Hinkin and maybe some comments from  
22 Dr. Pacheco that zeroed in on one piece of what I

1 think of as -- it's a big piece, but it is a  
2 distinction. And that's between more effortful  
3 cognitive processes and more implicitly automatic  
4 cognitive processes.

5 I'm not sure that the evidence that was  
6 presented or that exists in the literature at this  
7 point gets us much beyond that. It seems as though  
8 the range of different things spans across  
9 attentional -- effortful attention, effortful speed  
10 tasks, effortful memory tasks, effortful executive  
11 tasks. I'm not sure that there's a really good  
12 case that's been made so far that it's more  
13 specific than that.

14 In terms of primary efficacy endpoints, I  
15 guess I would come back to comments that Dr.  
16 Farchione was just making about maybe the thing  
17 that we need to do is have -- you need to balance  
18 the importance of the cognitive claim in a given  
19 case versus the mood treatment claims. You need to  
20 decide about how much effort do you want to put  
21 into this.

22 But if this is a main purpose of a given

1 application to have a cognitive claim in an  
2 antidepressant context, well, then I think you'd  
3 probably want to have a couple or more effortful  
4 cognitive measures and see that they're moving in  
5 the same direction. And maybe one will be stronger  
6 and one will be weaker, but at least you'd like to  
7 know that they're kind of telling you the same  
8 story.

9 I'm not that convinced that the kinds of  
10 measures, the kinds of neuropsych measures that  
11 we've been using traditionally do a very good job  
12 of saying, oh, it's just this or it's just that. I  
13 think I would be much more comfortable and feel  
14 more confident that three measures vote the same  
15 way than that one measure votes one way and the  
16 other measures vote a different way. That, as my  
17 colleague at the end of the table was saying, I  
18 don't really understand.

19 DR. PICKAR: Let me just go back to you on  
20 that. The primary endpoint question, do you have  
21 any that would stick out as a primary endpoint?  
22 Primary endpoint is what drug development is about.

1 DR. DICKINSON: And by that, do you mean  
2 like specific neuropsych tests?

3 DR. PICKAR: So I like --

4 DR. DICKINSON: The symbol test, I mean,  
5 that's one of my favorites, to be honest, but I'm  
6 not sure that I would take that by itself as a  
7 primary endpoint. I would rather have Digit  
8 Symbol, List Learning, and Letter-Number Sequencing  
9 together, and have them all vote the same way in a  
10 given case than to have any one of them by itself.

11 DR. PICKAR: How about other  
12 people's -- yes, please?

13 DR. HINKIN: Whether it's Digit Symbol or an  
14 assortment of tests, I think something I always  
15 wrestle with in these kinds of issues is, are we  
16 worried about statistical significance or clinical,  
17 clinically significant difference, improvement in  
18 cognition? Because it's easy to get a big enough  
19 and you can get a low enough p-value with just a  
20 negligible improvement on a Digit Symbol test, for  
21 example, but is that of clinical significance? I  
22 think that's much, much harder to --

1 DR. PICKAR: That's right. The choice of a  
2 primary endpoint should have some impact in a  
3 clinical sense, and certainly we do live by  
4 statistics, as I recall. So both are going to be  
5 in play. But you're absolutely right about the  
6 clinical significance. Otherwise, it's not right.

7 DR. HINKIN: Right. And I think the former  
8 is going to be very easy.

9 DR. PICKAR: A statistical significance with  
10 those kind of tests you can get there.

11 DR. HINKIN: Yes, but --

12 DR. PICKAR: But does it have meaning? Dr.  
13 Temple?

14 DR. TEMPLE: Well, we go through this agony  
15 all the time, how big a difference matters. How  
16 did we decide that a HAM D-17 difference of 2 is  
17 clinically meaningful? Maybe there's some basis  
18 for it, but it seems pretty small considering the  
19 response of the placebo group is usually 10. So  
20 the drug beats it by 2, 12 versus 10. Is that  
21 obvious? I mean, I don't know. But we agonize  
22 about that all the time.

1           I just want to throw one thing out. Looking  
2 at the mean is not good enough. You really need to  
3 look at the distribution of responses, at least  
4 sometimes. I just want to throw that out.

5           DR. PICKAR: Visual differences are a huge  
6 piece of this.

7           Let me go to the functional question. Could  
8 you go to number 3? They're all related, Kalyani,  
9 I think enough, and we can move through those.  
10 Functional assessment. Reference was made earlier  
11 to MATRICS. And Dr. Conley I think had mentioned  
12 something that we all know, that this has been  
13 around for 15 years almost, or whatever, and  
14 there's been no effective -- really, it's been  
15 silent. Was the bar set too high? I remember at  
16 the time the issue of functionality and said, boy,  
17 what a drug trial to do that.

18           Is it important here? We want clinical  
19 significance, but do you want a functionality  
20 assessment? Dr. Compagni Portis?

21           DR. COMPAGNI PORTIS: So I guess my  
22 question, especially maybe for the

1       neuropsychologists, goes to both of those things:  
2       do you see that someone could do well on those  
3       three measures that you threw out there and not  
4       have -- I mean, is there a correlation necessarily  
5       between that and improvement in daily functioning?  
6       Could somebody do better in all those measures and  
7       still say, but I'm not working well. I used to be  
8       the CEO of a company, and my brain just doesn't  
9       work anymore or I can do that DSST test.

10               DR. HINKIN: I think if you had a regionally  
11       big enough improvement in cognitive functioning is  
12       assessed by neuropsychologic tests, there would be  
13       a improvement in that person's life. Now, whether  
14       it would be picked up on the kind of tests we use  
15       to assess functional ADLs, IADLs in that  
16       executive -- it could improve their cognitive  
17       faculties, but they still might not be able to run  
18       a board meeting.

19               Conversely, often the types of tests we use  
20       come out of the Alzheimer's literature, PD, or  
21       schiz. And those diseases have greater functional  
22       compromise than do depression. So we will be

1 talking about some of that stuff this afternoon.

2 DR. COMPAGNI PORTIS: Because I think  
3 therein lies the challenge when you're trying to  
4 compare schizophrenia, or this afternoon we'll talk  
5 about a study in the geriatric population. That's  
6 different than a lot of -- you know, you've looked  
7 at the huge number of people that are struggling  
8 with depression, and it's a lot of people in the  
9 prime of their life who are significantly impacted  
10 for a long period of time in terms of cognitive  
11 function.

12 DR. PICKAR: Do people feel that there's  
13 more data needed before they hang their hat on  
14 these questions other than in a discussion, more  
15 firm? The speakers, each of them sort of  
16 said -- everybody said, oh, we don't have data on  
17 this, unknown, whether it was imaging -- there's  
18 just not a lot of data out there. If all things  
19 being equal, would you request that we just get  
20 more broad data before we contemplate? I just want  
21 to hear different thoughts on that.

22 Dr. Narendran?

1 DR. NARENDRAN: That was my question. It  
2 seems to me like there just isn't enough data to  
3 support a lot of these -- to answer these  
4 questions. For example, you say the DSST may  
5 be -- is 5 percent improvement good enough, or 10  
6 percent improvement good enough? And how do you  
7 get there? And that's what -- if you agree with a  
8 set of tasks that potentially are definitely  
9 impaired in depression, and then people can go do  
10 research on these things, validate them -- because  
11 PhRMA is not going to be able to validate their own  
12 scale and do all this work.

13 So if we agree that these are the tests that  
14 are most likely to be impaired in depression, and  
15 NIMH can fund it or other people can do it -- and  
16 it will be interesting to find out whether  
17 5 percent change is meaningful, or 10 percent  
18 change is required in the general population of  
19 healthy people, in depressed people, in  
20 schizophrenics. And then PhRMA can use that data  
21 to say, okay, we have a drug X, which works through  
22 neurochemical mechanism Y. We think we want to

1 look at executive function and see if it impairs  
2 it. Hey, it changed it by 5 percent, so it should  
3 improve functional outcome.

4 I feel like forcing functional outcome as a  
5 co-primary makes it even more difficult I feel  
6 within time frame to demonstrate that, especially  
7 in the mentally ill population, for example. It's  
8 even harder, I think. So my thought is it's good  
9 to have secondary measures in a convergent data set  
10 to see if it all aligns well, but to force it on a  
11 co- -- I mean, we don't do that for HAMD. We don't  
12 do that for PANS to say have these schizophrenics  
13 improved all of a sudden. We just say, okay, their  
14 symptoms are improved. We take it as an assumption  
15 that potentially it should make their life better.

16 So I'm very reluctant to force a co-primary  
17 endpoint of a functional outcome measure, I think.  
18 That's my thought.

19 DR. PICKAR: Dr. Temple?

20 DR. TEMPLE: Well, it's a very thorny  
21 question. We face this all the time. It's of  
22 interest that in, say, Alzheimer's disease, the way

1 we resolved it was to ask for 2 endpoints, one the  
2 ADAS-Cog, but there was some concern about how much  
3 that means, so there was always a clinical global  
4 along with it, and the clinical global had to be  
5 positive. They were co-primary endpoints. You  
6 had to win on both.

7 So that was one way around it. But it's a  
8 very thorny problem, how do you decide whether this  
9 is big enough to matter, especially since it isn't  
10 really the average that's the only thing. It's  
11 changes in individuals and their distribution,  
12 which is not an easy thing to analyze.

13 DR. PICKAR: Generally -- oh, I'm sorry.

14 DR. McMAHON: I was just going to say, I  
15 think maybe I disagree with the previous speaker's  
16 comment. I think having a functional assessment at  
17 the end is important in order to get exactly at  
18 this issue that Dr. Hinkin raised about something  
19 clinically significant. Even if it is something as  
20 broad as a clinical global impression, at least it  
21 gives some information.

22 I could imagine that there could be a drug

1 that might improve some aspect of depression and  
2 worse in others. Without that overall impression  
3 of improvement, then you really don't know if  
4 you're helping the patient.

5 DR. PICKAR: Did you feel functional outcome  
6 interfered with getting -- how about this to  
7 say -- drug development? Did people back away from  
8 going after that? Did it stymie drug development?  
9 Because it makes it a little bit tricky doing  
10 functional assessments. It's just more to do. I'm  
11 referring to the schizophrenia thing, and I  
12 wondered whether that was part of the reason we  
13 haven't seen much. I'm just curious.

14 DR. CONLEY: Well, I feel like in some ways  
15 that would be to me. Yes and no. I think part of  
16 the challenge in psychiatry is honestly finding  
17 good targets to go after right now, so it really  
18 isn't the outcome scales. But the worry that I  
19 have about functional outcome scales -- and, Dr.  
20 Temple, you alluded to this correctly -- is what  
21 are they before you know what they are? You have  
22 to do some exploration of what that is.

1           So it isn't -- it's easy to say what we'd  
2     like our patients to do in the extreme of getting  
3     back to being fine, but given that it's unlikely,  
4     you're suddenly going to find something like that,  
5     what is truly a relevant functional scale that's  
6     doable? And I'm not saying they're impossible.  
7     We've embraced them in cognition, for example, so  
8     we are doing that. The worry that I'd have here  
9     would be this general worry.

10           You've seen our whole panel now talk about  
11    how hard it is, even with the neuropsychological  
12    measures, to figure out what means something and  
13    what doesn't. I'm sure we could have an equally  
14    robust discussion about exactly what functional  
15    measure means something and what doesn't.

16           So that would be a problem because that  
17    would put things back; just saying it would be nice  
18    if we had it. We need it just to put it to your  
19    point. And it can't just come from us. As you  
20    know, it wouldn't be appropriate just for a sponsor  
21    to say here's some. We just invented a functional  
22    scale, and it looks really good on our drug. I

1 understand why people wouldn't believe that. You  
2 do need some field validated measures. We're not  
3 there yet.

4 DR. PICKAR: Dr. Narendran?

5 DR. NARENDRAN: I do want to kind of refute  
6 that point. It's much easier to show a functional  
7 outcome measure improvement in a continually  
8 deteriorating population like Alzheimer's as  
9 opposed to in patient population like depression or  
10 schizophrenia, which is not continually  
11 deteriorating. It's very hard to show, within a  
12 period of, I don't know, 8 weeks, or 12 weeks, or  
13 6 months, that they can alter.

14 DR. CONLEY: I actually -- I would agree  
15 with that. That's another reason why.

16 DR. PICKAR: Dr. Ionescu?

17 DR. IONESCU: Just thinking from how to  
18 measure functionality in these patients, I'm  
19 wondering if we can kind of pull from the recent  
20 change in depression clinical trials that are using  
21 these more external raters to -- that aren't  
22 necessarily involved in the trial but they're

1 either calling people or they don't have anything  
2 really to do with the medication.

3 But they would interact with the patient  
4 more frequently, not in a therapy session, not as  
5 an MD treater, but more as a external person that  
6 can objectively somehow -- and I don't quite know  
7 the details of how they would do this, objectively  
8 go through their functionality and give some sort  
9 of score or something.

10 This would need to be developed, but maybe  
11 this sort of new way of thinking how to measure  
12 functionality in depression might be helpful in  
13 informing if a medication would be targeting that  
14 symptom in particular.

15 Again, I don't know the details how this  
16 would work, but just a thought. Since we know from  
17 the data that patients with depression already have  
18 a bias that they're maybe not doing as well as they  
19 are as part of the disease, and maybe if we have  
20 someone externally rating this as well that has  
21 nothing to do with their clinical care, it might  
22 inform the data to these new medications.

1 DR. PICKAR: Dr. Hinkin?

2 DR. HINKIN: I would like to lobby against  
3 the use of a self-report measure as a functional  
4 outcome because, as we all know, patients with  
5 depression tend to be a little hard on themselves.  
6 And actually there's other research suggesting  
7 perhaps they're more accurate appraisers of their  
8 lives. But self-report of how well they're able to  
9 do might not actually correlate well with how they  
10 can do in life.

11 I do a lot of work in medication adherence,  
12 and patients' self-report of whether they're taking  
13 their medication often is a variance from the  
14 electronic measuring devices. So using some sort  
15 of objective indices, whether it is as crude as are  
16 they gainfully employed or not -- that would be  
17 probably too high in a threshold and too many  
18 multi-determinants. But some sort of objective  
19 indices that's not simply the patient saying I'm  
20 doing better.

21 DR. PICKAR: Other comments? Dr. Dickinson?

22 DR. DICKINSON: So I'm just thinking about

1 the experience that we've had in schizophrenia  
2 trying to develop functional measures. And it  
3 seems as though they range from objective external  
4 indicators like employment to these assessments  
5 that have been developed that have to do with can  
6 you balance your checkbook, can you read a bus  
7 schedule, things like this.

8 The problem is that functioning is multiply  
9 determined, so if you go with something objective  
10 like employment, it might not capture improvement  
11 that's actually there because so many other things  
12 combine to determine whether you're employed or  
13 not.

14 But at the other end, where we've come up  
15 with these more objective measures, the more  
16 reliable the measurement is, the clearer the read  
17 out of the measure, the more it's like a cognitive  
18 test, and the less it may actually reflect real  
19 functional improvement. So I think some of the  
20 most reliable functional measures on the  
21 schizophrenia side also correlate incredibly highly  
22 with cognitive measures.

**Adjournment**

1  
2 DR. PICKAR: I hope this discussion's been  
3 helpful. Those are tough questions you gave us  
4 there, but I think you've got some information from  
5 speakers that was really up to date, and hopefully,  
6 the discussion is helpful in how you think about  
7 it. We certainly would like to see it as a target,  
8 and we're not quite sure exactly what domain or  
9 what endpoint, but that's to be determined.

10 Thank you very much, our outside speakers.  
11 That was terrific. We're going to adjourn for  
12 lunch. And I have to read that it's a one hour  
13 break, and we will start in the afternoon session  
14 here. Take your personal belongings with you at  
15 this time. The standard rule which is appropriate  
16 is no discussion of the meeting during lunch  
17 amongst yourselves talking to the panel members  
18 here or with the audience.

19 Thank you very much for a very interesting  
20 morning session. Thank you.

21 (Whereupon, at 11:58 a.m., the morning  
22 session was adjourned.)