

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

PSYCHOPHARMACOLOGIC DRUGS  
ADVISORY COMMITTEE (PDAC) MEETING

Afternoon Session

Wednesday, February 3, 2016

12:56 p.m. to 4:36 p.m.

FDA White Oak Campus  
Building 31, The Great Room  
White Oak Conference Center  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Kalyani Bhatt, BS, MS**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7  
8 **PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE**

9 **MEMBERS (Voting)**

10 **Thomas A. Grieger, MD**

11 Maryland Department of Health and

12 Mental Hygiene

13 Thomas B. Finance Center

14 Cumberland, Maryland

15  
16 **David Pickar, MD**

17 *(Acting Chairperson)*

18 Adjunct Professor of Psychiatry

19 Johns Hopkins Medical School

20 Uniformed Services University of Health Sciences

21 Gabriel Sciences, LLC

22 Chevy Chase, Maryland

1     **Murray B. Stein, MD, MPH**

2     Professor of Psychiatry and Family &  
3     Preventive Medicine  
4     Anxiety & Traumatic Stress Disorders Program  
5     Department of Psychiatry  
6     University of California San Diego  
7     La Jolla, California

8

9     **TEMPORARY MEMBERS (Voting)**

10    **Dwight Dickinson, PhD, JD**

11    Staff Scientist  
12    Psychosis and Cognitive Studies Section  
13    Clinical and Translational Neuroscience Branch  
14    National Institute of Mental Health (NIMH)  
15    National Institutes of Health (NIH)  
16    Bethesda, Maryland

17

18

19

20

21

22

1     **Jennifer Higgins, PhD**

2     *(Acting Consumer Representative)*

3     Director

4     Compliance and Quality Assurance

5     Center for Human Development

6     Springfield, Massachusetts

7

8     **Charles Hinkin, MD**

9     Professor-in-Residence

10    Department of Psychiatry and

11    Biobehavioral Sciences

12    University of California Los Angeles School

13    of Medicine

14    Los Angeles, California

15

16    **Dawn Ionescu, MD**

17    Assistant in Psychiatry

18    Depression Clinical and Research Program

19    Massachusetts General Hospital

20    Instructor, Harvard Medical School

21    Boston, Massachusetts

22

1     **Francis J. McMahon, MD**

2     Chief

3     Human Genetics Branch

4     Intramural Research Program

5     NIMH, NIH

6     Bethesda, Maryland

7

8     **Rajesh Narendran, MD**

9     Attending Psychiatrist

10    Resolve Crisis Network

11    Western Psychiatric Institute and Clinics

12    Associate Professor in Radiology and Psychiatry

13    Psychiatric Molecular Imaging Program

14    University of Pittsburgh

15    Pittsburgh, Pennsylvania

16

17    **Natalie Compagni Portis, MFT, PsyD**

18    *(Patient Representative)*

19    Oakland, California

20

21

22

1       **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2       **(Non-Voting)**

3       **Robert R. Conley, MD**

4       *(Acting Industry Representative)*

5       Global Development Leader and

6       Distinguished Lilly Scholar, Neuroscience

7       Eli Lilly and Company

8       Baltimore, Maryland

9  
10       **FDA PARTICIPANTS (Non-Voting)**

11       **Robert Temple, MD**

12       Deputy Director for Clinical Science

13       CDER, FDA

14       Deputy Director (Acting)

15       Office of Drug Evaluation-I (ODE-I)

16       OND, CDER, FDA

17  
18       **Tiffany R. Farchione, MD**

19       Deputy Director

20       DPP, ODE-I, OND, CDER, FDA

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Mitchell Mathis, MD**

Director

Division of Psychiatry Products (DPP)

ODE-I, OND, CDER, FDA

**Aeva Gaymon-Doomes, MD**

Medical Officer

DPP, ODE-I, OND, CDER, FDA

**Wen-Hung Chen, PhD**

Acting Team Leader

Clinical Outcome Assessments Staff

Immediate Office

OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	David Pickar, MD	10
5	Conflict of Interest Statement	
6	Kalyani Bhatt, BS, MS	14
7	FDA Introductory Remarks	
8	Tiffany Farchione, MD	17
9	<b>INDUSTRY PRESENTATIONS - Takeda</b>	
10	Introduction	
11	Jonathon Parker, RPh, MS, PhD	19
12	Measuring Change in Cognition with	
13	Digit Symbol Substitution Test (DSST)	
14	Judith Jaeger, MPA, PhD	26
15	Study Design and Results	
16	Christina Kurre Olsen, PhD	37
17	Clinical Perspective	
18	Maurizio Fava, MD	55
19	Conclusion	
20	Louis Mini, MD	62
21	Clarifying Questions	68
22		



1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA PRESENTATIONS</b>	
4	Regulatory History of Vortioxetine for	
5	the Treatment of Cognitive Dysfunction in	
6	MDD	
7	Tiffany Farchione, MD	87
8	Clinical Outcome Assessment Review of	
9	Digit Symbol Substitution Test (DSST):	
10	Vortioxetine for Cognitive Dysfunction in	
11	MDD	
12	Wen-Hung Chen, PhD	96
13	Clinical, Safety, and Efficacy Data	
14	Aeva Gaymon-Doomes, MD	104
15	Clarifying Questions	115
16	Open Public Hearing	125
17	Charge to the Committee	
18	Tiffany Farchione, MD	150
19	Questions to the Committee and Discussion	151
20	Adjournment	194
21		
22		

P R O C E E D I N G S

12:56 p.m.

**Call to Order**

**Introduction of Committee**

1 DR. PICKAR: Good afternoon. Before we go  
2 any further, I just want to remind everyone to  
3 please -- checking myself silence your phones,  
4 smartphones, or any other devices that you may  
5 have. I want to identify once again the FDA press  
6 contact, Sandy Walsh. She's not here just now, but  
7 she will be the press contact. As we begin this  
8 afternoon's session, we're going to reintroduce  
9 ourselves and go from there.  
10

11 I'm David Pickar. I'm the acting chair of  
12 the Psychopharmacologic Drugs Advisory Committee,  
13 and I'll be chairing this meeting. I will now call  
14 the meeting to order. We're going to start with  
15 our colleagues at the FDA, with Dr. Temple, and  
16 we're going to go around the table introducing  
17 ourselves. Thank you.  
18

19 DR. TEMPLE: Bob Temple, deputy director,  
20 ODE-1.  
21  
22

1 DR. MATHIS: Mitch Mathis, director of  
2 Psychiatry Products.

3 DR. FARCHIONE: Tiffany Farchione, deputy  
4 director of Psychiatry.

5 DR. GAYMON-DOOMES: Aeva Gaymon-Doomes,  
6 medical officer, DPP.

7 DR. CHEN: Wen-Hung Chen, acting team  
8 leader, clinical outcome assessments staff.

9 DR. NARENDRAN: Raj Narendran, University of  
10 Pittsburgh, psychiatrist.

11 DR. STEIN: Murray Stein, University of  
12 California, San Diego and the VA San Diego  
13 Healthcare System, psychiatrist.

14 DR. IONESCU: Dawn Ionescu, psychiatrist at  
15 Massachusetts General Hospital.

16 MS. BHATT: Kalyani Bhatt. I'm with the  
17 Division of Advisory Consultant Management.

18 DR. PICKAR: David Pickar, Johns Hopkins.

19 DR. GRIEGER: Tom Grieger, and I work as a  
20 psychiatrist in the Maryland state psychiatric  
21 system and professor of psychiatry at Uniformed  
22 Services.

1 DR. HIGGINS: Jennifer Higgins, acting  
2 consumer representative.

3 DR. COMPAGNI PORTIS: Natalie Compagni  
4 Portis, the patient representative.

5 DR. McMAHON: Francis McMahon, National  
6 Institute of Mental Health intramural research  
7 program.

8 DR. HINKIN: Charlie Hinkin, professor of  
9 psychiatry at UCLA School of Medicine and director  
10 of neuropsychological services at the West Los  
11 Angeles VA.

12 DR. DICKINSON: Dwight Dickinson. I'm a  
13 neuropsychologist at the NIMH intramural program

14 DR. CONLEY: And I'm Rob Conley, the acting  
15 industry representative. I work at Eli Lilly where  
16 I'm the head of late-phase neuroscience  
17 development.

18 DR. PICKAR: Thank you very much. To repeat  
19 what we talked about this morning, topics like we  
20 will be discussing today can often be charged that  
21 people have strong feelings. Our goal is that the  
22 meeting will be fair and open. It's a forum for

1 discussion of these issues and individuals should  
2 feel free to express their views without any  
3 reservation. Thus, a gentle reminder, everybody  
4 will be able to speak into the record only if  
5 recognized by the chairperson. We look forward to  
6 a very productive meeting.

7 In the spirit of the Federal Advisory  
8 Committee Act and the Government in the Sunshine  
9 Act, we ask that the advisory committee members  
10 take care that their conversations about the topic  
11 at hand take place in the open forum of the  
12 meeting. That's quite important.

13 We are aware that members of the media are  
14 anxious to speak with the FDA about these  
15 proceedings. However, FDA will refrain from  
16 discussing the details of this meeting with the  
17 media until its conclusion.

18 Also, the committee is reminded to please  
19 refrain from discussing the meeting topic during  
20 breaks. Thank you very much.

21 Now, I'll pass to Kalyani Bhatt, who will  
22 read the Conflict of Interest Statement. Kalyani?

1                                   **Conflict of Interest Statement**

2                   MS. BHATT: The Food and Drug Administration  
3 is convening today's meeting of the  
4 Psychopharmacologic Drugs Advisory Committee under  
5 the authority of the Federal Advisory Committee  
6 Act, FACA, of 1972. With the exception of the  
7 industry representative, all members and temporary  
8 voting members of the committee are special  
9 government employees or regular federal employees  
10 from other agencies and are subject to federal  
11 conflict of interest laws and regulations.

12                   The following information on the status of  
13 this committee's compliance with federal ethics and  
14 conflict of interest laws, covered by but not  
15 limited to those found at 18 USC Section 208, is  
16 being provided to participants in today's meeting  
17 and to the public. FDA has determined that members  
18 and temporary voting members of this committee are  
19 in compliance with federal ethics and conflict of  
20 interest laws.

21                   Under 18 USC Section 208, Congress has  
22 authorized FDA to grant waivers to special

1 government employees and regular federal employees  
2 who have potential financial conflicts when it is  
3 determined that the agency's need for a particular  
4 individual's service outweighs his or her potential  
5 financial conflict of interest.

6 Related to the discussion of today's  
7 meeting, members and temporary voting members of  
8 the committee have been screened for potential  
9 financial conflicts of interest of their own, as  
10 well as those imputed to them, including those of  
11 their spouses or minor children and, for purposes  
12 of 18 USC Section 208, their employers.

13 These interests may include investments,  
14 consulting, expert witness testimony, contracts,  
15 grants, CRADAs, teaching, speaking, writing,  
16 patents and royalties, and primary employment.

17 During the afternoon session, the committee  
18 will discuss new drug application 20447,  
19 supplement 006, for the effectiveness of  
20 vortioxetine for the treatment of cognitive  
21 dysfunction in MDD, submitted by Takeda Development  
22 Center Americas, Incorporated. This is a

1 particular matters meeting during which specific  
2 matters related to Takeda's vortioxetine will be  
3 discussed.

4           Based on the agenda for today's meeting and  
5 all financial interests reported by the committee  
6 members and temporary voting members, no conflict  
7 of interest waivers have been issued in connection  
8 with this meeting. To ensure transparency, we  
9 encourage all standing committee members and  
10 temporary voting members to disclose any public  
11 statements that they have made concerning the  
12 product at issue.

13           With respect to FDA's industry  
14 representative, we would like to disclose that  
15 Dr. Robert Conley is participating in this meeting  
16 as a nonvoting industry representative, acting on  
17 behalf of regulated industry. Dr. Conley's role at  
18 this meeting is to represent industry in general  
19 and not any particular company. Dr. Conley is  
20 employed by Eli Lilly.

21           We would like to remind members and  
22 temporary voting members that if the discussions



1 involve any other products or firms not already on  
2 the agenda for which an FDA participant has a  
3 personal or imputed financial interest, the  
4 participants need to exclude themselves from such  
5 involvement, and their exclusion will be noted for  
6 the record. FDA encourages all participants to  
7 advise the committee of any financial relationships  
8 that they may have with the firm at issue. Thank  
9 you.

10 DR. PICKAR: We will now proceed with  
11 Dr. Farchione and the FDA opening comments.

12 **FDA Introductory Remarks - Tiffany Farchione**

13 DR. FARCHIONE: Good afternoon, everyone.  
14 In this afternoon's committee meeting, we're going  
15 to be discussing Takeda and Lundbeck's supplemental  
16 new drug application for vortioxetine for the  
17 treatment of cognitive dysfunction associated with  
18 depression. The applicants are proposing to add  
19 language to their label describing clinical trials  
20 using vortioxetine in this context.

21 Now, part of their application is they're  
22 presenting data from one trial in which cognitive

1 measures were used as a secondary endpoint. That  
2 was the one that sort of spurred their drug  
3 development program, and then two additional  
4 trials, their pivotal phase 3 trials that were  
5 specifically designed to evaluate the effect of  
6 vortioxetine on some cognitive endpoint. Now, both  
7 of these trials, they did have statistically  
8 significant result on their primary endpoint.  
9 Their primary endpoints in each of the trials were  
10 different, but they were overlapping.

11 The question that we have at hand this  
12 afternoon, though, is this would be a novel claim.  
13 This is something that we haven't put in a label up  
14 until this point. And even though, after the  
15 discussion this morning, it sounds like everybody  
16 is in agreement on this, we have opened the door to  
17 the idea that cognitive dysfunction is a legitimate  
18 treatment target in major depressive disorder. But  
19 the question here this afternoon is whether or not  
20 the studies that were being reviewed as part of  
21 this application were appropriately designed to  
22 assess the proposed claim.

1           Now, with regards to the DSST, which is  
2 going to be a major focus of the discussion, the  
3 applicant asserts that it might not be -- it's not  
4 really necessarily specific for any particular  
5 cognitive domain but that it's highly sensitive for  
6 overall dysfunction and sensitive to change. And  
7 that's really going to be a major matter of review  
8 for the committee to focus on.

9           With that, I think that we can probably go  
10 directly into the sponsor's discussion so that they  
11 can present all of their data to you.

12                   **Industry Presentation - Jonathon Parker**

13           DR. PARKER: Good afternoon. I'm Jonathon  
14 Parker, vice president for CNS global regulatory  
15 affairs at Takeda. And along with our partner  
16 Lundbeck, we're here today to discuss vortioxetine  
17 and describe its ability to treat cognitive  
18 dysfunction in patients suffering from major  
19 depressive disorder or MDD.

20           I'd like to thank FDA for this opportunity  
21 to discuss vortioxetine, and we appreciate the  
22 agency's openness to consider new treatment

1 paradigms for patients with depression.

2           Vortioxetine is indicated for the treatment  
3 of MDD, and it's currently approved in over 60  
4 countries, including the U.S. and EU. With over  
5 830,000 patient-years of exposure, vortioxetine has  
6 an established and well characterized safety  
7 profile in MDD. In the clinical studies, we'll  
8 present the adverse events and adverse event rates  
9 were consistent with those originally seen in the  
10 registration studies. In agreement with FDA, the  
11 safety of vortioxetine is not at issue, so we do  
12 not plan to discuss vortioxetine's safety further  
13 unless the committee has questions.

14           Today, we'll focus on vortioxetine's ability  
15 to treat cognitive dysfunction in the same MDD  
16 patient population for which it's already been  
17 approved. The data will demonstrate that  
18 vortioxetine produced consistent effects across  
19 multiple studies. Data on this beneficial effect  
20 is now included in the majority of its label  
21 worldwide.

22           So why did we investigate this effect?

1 Vortioxetine is not solely an SSRI nor an SNRI. In  
2 addition to SERT inhibition, vortioxetine targets  
3 several serotonin receptors at clinically relevant  
4 doses. This pharmacology translates to in vivo and  
5 in vitro data that support a positive impact in  
6 cognitive function. Additionally, in animal  
7 models, vortioxetine actually reversed cognitive  
8 deficits. This evidence supports the findings of  
9 the pivotal studies that we will discuss today.

10 As you consider our development program,  
11 it's important to remember that there are no drugs  
12 approved in this area and there's no published  
13 guidelines for this path in MDD. Indeed, the  
14 program that we had evolved with our knowledge of  
15 the field and increased as we learned more about  
16 vortioxetine's effect in cognitive dysfunction in  
17 MDD. We also tried to maximize what we could  
18 learn. So in doing so, we chose to have the  
19 primary endpoints for the two pivotal studies be  
20 slightly different.

21 This program also evolved with input from  
22 experts in the field. However, what remained

1 consistent was our desire to demonstrate efficacy  
2 in cognition in an MDD patient population at the  
3 approved antidepressant doses.

4 The primary clinical evidence for  
5 vortioxetine's positive effect on cognitive  
6 dysfunction in MDD comes from three large-scale  
7 clinical studies. First, we explored these effects  
8 by including measures of cognition as secondary  
9 endpoints in the ELDERLY study, a study that was  
10 part of vortioxetine's original NDA. Encouraged by  
11 what we saw, we then conducted two new pivotal  
12 studies, the FOCUS and the CONNECT studies.  
13 Importantly, both studies were positive. In fact,  
14 vortioxetine is the first drug to demonstrate this  
15 effect in two large-scale, placebo-controlled  
16 studies.

17 In all three studies, vortioxetine showed a  
18 consistent statistically significant benefit in  
19 treating depression. The data also demonstrated  
20 vortioxetine's benefit in improving cognitive  
21 dysfunction for patients with MDD. The studies  
22 utilized the MADRS to measure vortioxetine's

1 antidepressant effect and the Digit Symbol  
2 Substitution Test, or DSST, to measure change in  
3 cognitive functioning.

4 For the FOCUS study, the DSST was half of a  
5 composite primary endpoint, while the DSST was the  
6 sole primary endpoint in the CONNECT study. In  
7 both studies, the primary endpoint was  
8 statistically significant. As Dr. Farchione said,  
9 the FDA's in agreement with this point.

10 While focused on the successful clinical  
11 studies, we also pursued other lines of research to  
12 further confirm a meaningful effect. This included  
13 pharmacology studies that drew us to cognition from  
14 the beginning of this concept. It also included  
15 non-clinical data that support evidence of an  
16 effect not seen with other antidepressants.  
17 Additionally, supportive clinical studies such as  
18 the hypothesis-generating ELDERLY study and the  
19 Functional MRI study further demonstrated that we  
20 were on the right track.

21 Finally, we included within the CONNECT  
22 study, the last of the pivotal studies, two

1 functional endpoints that support a positive  
2 benefit in patients and support the DSST as an  
3 endpoint.

4 In summary, I'd like to highlight the  
5 following key points. First and foremost,  
6 vortioxetine is a proven antidepressant. Second,  
7 cognitive dysfunction in MDD is an unmet medical  
8 need, and FDA has acknowledged that cognition may  
9 be a legitimate target. Furthermore, multiple  
10 cognitive domains are impaired in MDD, and the DSST  
11 is sensitive to impairments in domains relevant to  
12 MDD. And finally, the vortioxetine clinical  
13 program demonstrated a beneficial effect in  
14 cognition as assessed by the DSST in the acute MDD  
15 patient population.

16 This information is important for  
17 prescribers to be aware of in the care of their  
18 patients, and it should be reflected vortioxetine's  
19 product information. Therefore, we are proposing  
20 to add data to the U.S. package insert showing an  
21 effect in the current approved indication -- in the  
22 currently indicated population compared to placebo



1 on aspects of cognition assessed by the DSST. The  
2 exact wording and format will of course be subject  
3 to FDA discussions later, but this was the intent  
4 of our submission.

5 Before concluding, let me note that the  
6 following experts are with us here today to answer  
7 any questions you may have, and unfortunately,  
8 Dr. Goodwin was not able to make the trip today.  
9 So after this introduction, Dr. Jaeger will discuss  
10 how neuropsychological tests measure cognitive  
11 function and more specifically talk about the DSST.

12 After that, Dr. Olsen will describe the  
13 design and the results of our clinical studies.  
14 And we've asked Dr. Fava to provide his clinical  
15 perspectives regarding vortioxetine in the  
16 treatment of cognitive dysfunction for patients  
17 with MDD. And finally, Dr. Mini will provide our  
18 conclusions about the importance of having this  
19 information available to prescribers.

20 Now, it's my pleasure to introduce  
21 Dr. Jaeger, who will discuss the outcome measures  
22 we used in the clinical studies.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Industry Presentation - Judith Jaeger**

DR. JAEGER: Good afternoon. I'm a clinical neuropsychologist, and my research work, over more than 25 years, has focused on characterizing the nature, course, and disabling consequences of cognitive dysfunction in a range of conditions, including MDD. Here are my disclosures.

I'll be making three main points today. First, that objective measures are necessary for clinical trials of cognition in MDD. Subjective ratings of cognitive dysfunction bring an important perspective. But since they may be influenced by depressed mood, subjective measures often disagree with objective performance.

Second, to serve as this objective measure, the DSST is appropriate and adequate in the clinical trial setting for several reasons: its reliability, stability, sensitivity to change, and sensitivity to the cognitive deficits seen in MDD. And finally, that change in performance on the DSST corresponds to clinically meaningful change in cognition.

1           Neuropsychological tests yield objective  
2 measures of performance, such as accuracy or speed  
3 on a task. Typically, they're designed to be  
4 narrowly sensitive to dysfunction in particular  
5 cognitive domains. However, no single test is a  
6 pure measure of a single cognitive domain. All are  
7 at least partly polyfactorial. Consequently, when  
8 used for diagnostic purposes, a battery of such  
9 tests is necessary to reveal a profile of cognitive  
10 strengths and deficiencies relative to norms.

11           Two points bear special mention.  
12 First -- and this is important -- virtually none of  
13 the standard neuropsychological tests in clinical  
14 diagnostic use were designed or validated to be  
15 sensitive to change over time as is required for  
16 clinical trials. Second, a test that has  
17 demonstrated sensitivity to change over time and is  
18 highly polyfactorial may be very useful in the  
19 context of clinical trials.

20           What makes a good test of cognitive change  
21 in the clinical trial setting? Well, first off,  
22 the measurement properties essential to a good

1 diagnostic test are different from the properties  
2 required for a test whose purpose is principally  
3 the measurement of change. The focus of a  
4 diagnostic test is on abnormality. Ceiling effects  
5 are not a problem because once we know performance  
6 is unimpaired, finer distinctions above normal are  
7 not important.

8           But when you measure change, such as in a  
9 treatment trial, essential features include  
10 normality, minimal floor and ceiling effects, high  
11 stability, and test/retest reliability, and brevity  
12 is essential to minimize fatigue and improve  
13 motivation. Since treatment trials rarely seek to  
14 tease out focal effects, a brief polyfactorial test  
15 may be an adequate and sufficient alternative to a  
16 long battery of more domain-specific measures.

17           There is one widely used traditional  
18 neuropsychological test that possesses many of the  
19 properties required for a test of change, and that  
20 is the Digit Symbol Substitution Test. In the  
21 DSST, the patient is instructed to copy a symbol  
22 into the blank below the numeral with which it is

1 paired. A time limit is set, usually 90 or  
2 120 seconds, depending upon the version used, and  
3 the score is the number of correct responses in  
4 that time.

5           Since its widespread use beginning between  
6 the world wars, the DSST has proven to be the  
7 single most sensitive measure on the Wechsler  
8 scales to the presence of cognitive deficits seen  
9 in brain damage. But while it is extremely  
10 sensitive to the presence of brain damage, it is  
11 not informative as to its characteristics or cause.  
12 In this respect, it is sensitive but not specific.

13           As I've said, the DSST is an appropriate  
14 assay for detecting cognitive change. It is a  
15 polyfactorial test, meaning it is sensitive to  
16 multiple domains. Impairment or change on the DSST  
17 can occur as a result of a change in any of the  
18 domains involved. And in a clinical setting,  
19 further testing would be required to understand  
20 which domain.

21           Considered neuropsychologically, MDD is a  
22 non-focal condition in which disease impact on a

1 single domain is not of clinical interest or  
2 importance. Hence, the DSST is an adequate and  
3 sufficient measure of dysfunction and change.

4           So what does the DSST measure? Though it is  
5 often asserted that it measures processing speed,  
6 research makes clear that good performance on the  
7 DSST requires intact functioning in a range of  
8 domains, including those highlighted here.

9 Ultimately, the brain solves problems through  
10 distributed networks, so no test is a pure assay of  
11 a singular cognitive construct. It makes more  
12 sense, then, to think about which cognitive  
13 functions must be intact to perform a test.

14           In clinical populations, DSST performance  
15 correlates highly with domains that do not involve  
16 processing speed, including attention and executive  
17 functions such as working memory, the same domains  
18 that are affected in depression.

19           Over its more than 75 years when the DSST  
20 has been included in extensive batteries, it has  
21 correlated highly with their composite scores with  
22 those correlations often exceeding 0.8. In this

1 recent factor analytic study of the MCCB in  
2 schizophrenia, you can see in the right most column  
3 that the DSST correlated highly and to an  
4 effectively equivalent degree with all three  
5 factors observed. And it's worth noting that  
6 factor 1 contains two measures of executive  
7 functioning, while factor 3 is made up of measures  
8 that are not timed. These findings confirm that  
9 DSST operates as a polyfactorial test.

10 Turning now to my final point, one of the  
11 questions you're asked to address today is whether  
12 the changes seen in DSST are adequate evidence of  
13 clinically meaningful change. I'd like to offer  
14 some data, which help us understand the relevance  
15 of changes in DSST. First, I will demonstrate the  
16 relationship of DSST to disability outcome in MDD,  
17 and then I will discuss the use of benchmarking to  
18 help us understand how to interpret cognitive  
19 change in general, and then specifically with  
20 respect to the DSST.

21 Here are the results of a study we undertook  
22 to understand the role of cognitive dysfunction on

1 functional disability in MDD. We looked at the  
2 real-world functioning of patients 6 months after  
3 hospitalization for an acute episode of MDD. To do  
4 this, my colleagues and I developed a global index  
5 of disability called the Multidimensional Scale of  
6 Independent Functioning or MSIF.

7 To the left, you see that a rating of 1  
8 reflects normal functioning, whereas a 7 reflects  
9 total disability in three main areas of life:  
10 work, school, and independent living. At 6 months,  
11 45 percent of the patients sampled were still  
12 significantly to totally disabled. And when we  
13 gave these patients a battery of tests, we saw that  
14 cognitive dysfunction measured at the same time  
15 point was highly correlated with dysfunctional  
16 disability.

17 Notably, the DSST was among the most highly  
18 correlated tests to the disability rating. In  
19 fact, the DSST had an odds ratio of nearly 20,  
20 which was highly significant even after  
21 multiplicity correction. This is a standardized  
22 value, so in simple terms, it means that 1 standard



1 deviation on the DSST translates to a 20-fold  
2 difference of an MSIF rating higher or lower by 1  
3 point.

4           Let's look a bit deeper at clinical  
5 meaningfulness by asking what a 1 point difference  
6 on the MSIF might mean for a patient's ability to  
7 function in real life. Here's someone with a  
8 rating of 4. Now change that by 1 point for the  
9 worse to rating of 5. What's likely is a  
10 life-changing outcome, potentially even the loss of  
11 a job. On the other hand, a patient with rating of  
12 5 who moves to a 4 may be able to keep that job.

13           Of course, this was a cross-sectional study,  
14 but nevertheless, the relationship we found between  
15 the DSST and MSIF was so large that even a quarter  
16 of a standard deviation difference in DSST  
17 performance more than doubles the odds of a 1 point  
18 difference in life functioning, and a half of  
19 standard deviation difference yields 4 and  
20 half-fold difference in odds. The point is clear,  
21 if this were you or a loved one, even a modest  
22 difference in cognition as measured by DSST, for

1 better or worse, would significantly impact your  
2 life.

3           What is the magnitude of cognitive  
4 dysfunction in depression as measured with the  
5 DSST? Meta-analytic studies have shown that the  
6 average standardized effect size of cognitive  
7 dysfunction across a range of domains in MDD is  
8 about a half a standard deviation.

9           Looking at just the DSST, Snyder's  
10 meta-analysis showed a statistically significant  
11 difference between depressed and healthy  
12 individuals, with an effect size of 0.55. Of  
13 course, that's an average. Some do better and some  
14 worse. Recall that a half a standard deviation  
15 difference on the DSST increased the likelihood of  
16 a 1 point change on MSIF by about 4 and a half-fold  
17 in the model I just described.

18           Another way to understand clinical  
19 meaningfulness is to compare a given magnitude of  
20 effect with that observed under other well  
21 understood conditions. Benchmarking gives us a  
22 frame of reference for what various effect sizes

1 might mean for a person suffering from cognitive  
2 dysfunction in MDD.

3 In the case of alcohol, the clinical  
4 significance of its effect is societally accepted.  
5 We have laws that regulate driving while under its  
6 effect. In a similar way, the use of  
7 benzodiazepines and diphenhydramine, or Benadryl,  
8 likewise are often restricted for workers such as  
9 pilots and truck drivers, where public safety is at  
10 stake.

11 Note that the effect sizes for these  
12 compounds at the relevant doses tested range from  
13 approximately 0.27 to 0.68. Interestingly, the  
14 magnitude of chronic cognitive deficit experienced  
15 daily by people suffering MDD is in the same range  
16 as that seen acutely with alcohol intoxication or  
17 lorazepam use.

18 Now, of course this approach has  
19 limitations. Obviously, there are many differences  
20 between being intoxicated and having chronic  
21 depression. Benchmarking does however offer us a  
22 frame of reference for appreciating the magnitude

1 of impact on cognition of known CNS perturbations  
2 and provides us with an anchor to better understand  
3 the impact of MDD on cognition.

4 The DSST's sensitivity is not limited to  
5 change for the worse. For instance, it has been  
6 used to track cognitive improvement seen during  
7 withdrawal from alcohol and benzodiazepine  
8 dependence. So how can one 90-second test be this  
9 useful? Is it sufficient to measure cognitive  
10 change using only this test? Clearly, I think the  
11 conclusion is yes, and for the following reasons.

12 First, the DSST paradigm is robustly  
13 reliable. Longer batteries add burden and yet are  
14 not necessarily more informative. As we saw, the  
15 DSST is highly correlated with much longer  
16 batteries.

17 Next, the DSST is a powerful discriminator  
18 of CNS change and dysfunction. Further, the  
19 magnitude of deficit in MDD seen on this one test  
20 is comparable to that seen with much longer  
21 batteries. Finally, performance on this one test  
22 is robustly correlated with functional disability

1 in MDD. Hence, the DSST is sufficient to measure  
2 cognitive change, and a change on the DSST is  
3 clinically meaningful.

4 I would like to introduce Dr. Christina  
5 Olsen from Lundbeck.

6 **Industry Presentation - Christina Olsen**

7 DR. OLSEN: Good afternoon. I'm Christina  
8 Olsen, clinical lead for the cognition development  
9 program for vortioxetine. I'll begin by telling  
10 you why and how we chose to address cognitive  
11 dysfunction in depression. After that, I will  
12 share with you an overview of the design and  
13 methodology of our clinical studies. I'll then  
14 move on to the results of those studies and finish  
15 by summarizing the evidence.

16 It is vortioxetine's pharmacological profile  
17 that drove our decision to track the cognitive  
18 dysfunction in depression. Distinct from all our  
19 antidepressants, vortioxetine acts directly on a  
20 range of serotonin receptors as well as by blocking  
21 the serotonin transporter, as illustrated here. By  
22 acting on those serotonin receptors, vortioxetine

1 modulates a range of neurotransmitter systems that  
2 are key regulators of cognitive processing,  
3 including the glutamatergic and gabaergic.

4 As you can see in our briefing book, we have  
5 shown that vortioxetine, unlike the SSRIs and the  
6 SNRIs tested, reverses cognitive deficits in a  
7 range of animal models, suggesting that indeed  
8 vortioxetine is different from other  
9 antidepressants.

10 While we were designing a depression study  
11 in an elderly population as part of vortioxetine's  
12 original NDA, Raskin and colleagues published a  
13 study on duloxetine, an SNRI, looking at this  
14 compound's effects on cognition in elderly  
15 depressed patients. Out of 4 tests, only the  
16 learning and memory paradigm showed significant  
17 effect versus placebo. Duloxetine did not improve  
18 performance versus placebo on tasks demanding more  
19 executive functioning. This included a simple  
20 coding task equivalent to the DSST.

21 Our preclinical findings on vortioxetine and  
22 the Raskin study prompted us to explore the effect

1 of vortioxetine on cognitive performance. To do  
2 this, we included prespecified additional endpoints  
3 in our ELDERLY study, two tests that were  
4 equivalent to those in Raskin. Then with a  
5 positive signal in ELDERLY, we decided to conduct  
6 two large scale pivotal studies in adults, FOCUS  
7 and CONNECT. For these, our primary aim was to  
8 confirm that vortioxetine's effect on cognitive  
9 dysfunction extended to the broader adult MDD  
10 population.

11 As the clinical program evolved, we also  
12 continued to expand the non-clinical and  
13 translational data. We did all this to better  
14 characterize the clinical profile of vortioxetine  
15 as an antidepressant with a beneficial impact on  
16 cognitive dysfunction in patients with acute MDD.

17 Let me turn now to an overview of the study  
18 design of our clinical trials. All three studies  
19 were 8-week placebo-controlled studies enrolling  
20 moderately to severely depressed patients. The  
21 baseline demographics for these studies were  
22 essentially the same as in the rest of our

1 depression program. This allowed us to evaluate  
2 vortioxetine's effect on cognitive dysfunction in  
3 addition to its antidepressant efficacy. While  
4 depression was a primary endpoint in ELDERLY,  
5 cognitive dysfunction was the primary endpoint in  
6 FOCUS and CONNECT. All doses were in our indicated  
7 range of 5 to 20 milligrams, and all three studies  
8 were globally conducted.

9 Finally, in ELDERLY and then again CONNECT,  
10 we chose duloxetine as an active reference. We did  
11 this primarily for assay sensitivity to verify  
12 antidepressant efficacy. We also considered  
13 duloxetine to be a high bar in addressing cognitive  
14 dysfunction due to its effect on learning and  
15 memory shown by Raskin.

16 We aimed in our pivotal studies to include a  
17 population that was typical for MDD trials, so we  
18 excluded, as we had in our initial NDA studies,  
19 other conditions, medications, and therapies that  
20 could have a CNS effect that might influence the  
21 cognitive assessments and treatment effect.

22 The two pivotal studies were very similar in



1 design and intent. Both aimed to confirm  
2 vortioxetine's effect on cognitive dysfunction in  
3 the broader adult MDD population, and both used a  
4 combination of objective and subjective measures as  
5 endpoints. There were, however, some differences  
6 in design, mainly to answer study specific  
7 questions.

8 We designed FOCUS to confirm the effect we  
9 saw in ELDERLY. We also investigated early  
10 treatment effects on cognitive performance. We  
11 designed CONNECT to ensure replication of FOCUS as  
12 well as replicating the distinct profile we saw in  
13 ELDERLY that had not been seen with the active  
14 reference. Finally, in CONNECT, we aimed at  
15 supporting clinical relevance by including  
16 assessments of functionality.

17 As a depression study, the primary endpoint  
18 of ELDERLY was Hamilton Depression Scale score. In  
19 FOCUS, we used both the DSST and RAVLT to generate  
20 a composite Z-score as a primary endpoint. This  
21 was guided by the effect we saw in ELDERLY. In  
22 CONNECT, we aimed at further characterizing the

1 distinct effect of vortioxetine on the DSST as an  
2 integrated major of cognitive function. To do  
3 this, we chose the DSST as the sole primary  
4 endpoint.

5           You see here the key secondary multiplicity  
6 controlled endpoints percentage in an hierarchical  
7 order for all three studies. Importantly, in  
8 FOCUS, the DSST was the first multiplicity  
9 controlled endpoint.

10           We included a number of additional  
11 prespecified endpoints in each study as supportive  
12 evidence. Comments for all three studies, the  
13 MADRS and the CGI-I, were included to address  
14 depressive symptoms as well as clinical global  
15 impression. We added a range of objective and  
16 subjective endpoints to support our primary  
17 cognition endpoints.

18           As presented in the briefing book, we used  
19 different methodologies in our pivotal studies  
20 according to the number of assessments  
21 post-baseline. In FOCUS, we applied MMRM. In  
22 CONNECT, we used ANCOVA LOCF. In both FOCUS and

1 CONNECT, the path analysis was also prespecified.

2 Across the three studies, the results of the  
3 analysis of primary and key secondary endpoints  
4 were under full multiplicity control for  
5 vortioxetine in a prespecified test order  
6 hierarchy.

7 Additionally in FOCUS, we applied a  
8 Bonferroni adjustment for multiple doses. As I  
9 present results, you will see statistical  
10 significance indicated by stars. Additional  
11 endpoints for vortioxetine as well as results for  
12 the active reference are presented with nominal  
13 p-values, and you will see nominal significance  
14 indicated by daggers.

15 Let me now give you an overview of all the  
16 measures of cognitive function, functional  
17 capacity, and work limitations we used across our  
18 studies. As you can see, we prioritized objective  
19 neuropsychological tests for the reason outlined by  
20 Dr. Jaeger. In FOCUS and CONNECT, we included a  
21 number of neuropsychological tests adequate to  
22 address the broad range of cognitive domains

1 relevant for MDD.

2 We also chose to capture patients'  
3 perception by adding subjective measures of  
4 cognitive function. And in CONNECT, in order to  
5 assess whether vortioxetine's beneficial profile  
6 would translate into improved functioning, we  
7 extended the number of measures. We included a  
8 functional capacity measure and a work productivity  
9 measure. We used well known validated tests  
10 sensitive to cognitive functions known to be  
11 impaired within depression. Yet, at the same time,  
12 we were mindful of study and patient burden.

13 Let me underline that vortioxetine improved  
14 depressive symptoms across all three studies.  
15 Likewise, in the studies in which it was included,  
16 duloxetine also improved depressive symptoms,  
17 thereby validating the assay sensitivity of the  
18 studies.

19 As I turn now to ELDERLY, let me start by  
20 saying that it met its primary endpoint  
21 significantly improving depressive symptoms. In  
22 ELDERLY, both vortioxetine and duloxetine improved

1 performance versus placebo on the RAVLT, the  
2 learning and memory tasks. Yet, while both  
3 compounds were effective in improving depressive  
4 symptoms, only vortioxetine had a positive effect  
5 on the DSST.

6           These results supported our hypothesis that  
7 vortioxetine has an effect on a broad range of  
8 cognitive domains relevant to MDD not limited to  
9 learning and memory. It also replicated the Raskin  
10 findings that duloxetine works on learning and  
11 memory as assessed by the RAVLT but not in the  
12 domains needed to perform on the DSST. Finally,  
13 ELDERLY demonstrated that you would not necessarily  
14 see an improvement in your cognitive performance on  
15 the DSST when you have an improvement in your  
16 depressive symptoms.

17           Let me turn now to our FOCUS pivotal study.  
18 Both doses of vortioxetine met the primary endpoint  
19 by significantly improving patients' cognitive  
20 performance as assessed by the composite Z-score  
21 comprised of DSST and RAVLT. As you see here on  
22 the Y-axis to the right, we are also presenting our

1 results as standardized effect sizes versus  
2 placebo. And to put the magnitude of these effect  
3 sizes in the context of the effect on depressive  
4 symptoms, they were comparable to the ones we saw  
5 on the MADRS. Considering the level of effect  
6 sizes of approved therapeutics in psychiatry, these  
7 effect sizes were relatively large.

8 In addition, you can see that the first key  
9 secondary endpoint that DSST considered on its own  
10 was significant for both doses, confirming that the  
11 effect we saw in ELDERLY held true for adults with  
12 MDD. The testing hierarchy stopped there as  
13 indicated by the p-value for the learning score of  
14 more than 0.025. After that, although the p-values  
15 were low for the memory scores supporting that  
16 vortioxetine has effect on learning and memory in  
17 the adult population, they were nominal.

18 You see here that vortioxetine improved  
19 cognitive performance versus placebo across all the  
20 neuropsychological tests included in FOCUS and with  
21 clinically relevant effect sizes. These findings  
22 substantiate the effect of vortioxetine on the

1 DSST. They also support that vortioxetine's effect  
2 is not limited to specific cognitive domain but  
3 extends across a broad range of cognitive  
4 functions. Please note that a large effect size  
5 was seen for the DSST, thus reinforcing its  
6 sensitivity as a measure of change.

7           Let me conclude this review of the results  
8 from FOCUS with the PDQ total score, which captures  
9 subjective patient-reported cognitive function.  
10 The PDQ, for example, asks patients to report how  
11 often they have trouble concentrating or making  
12 decisions. As you see, both doses of vortioxetine  
13 improved cognitive function as perceived by the  
14 patients themselves.

15           Let's move on now to the CONNECT study. In  
16 CONNECT, vortioxetine significantly improved DSST  
17 performance versus placebo. As I mentioned  
18 earlier, we included an active reference in CONNECT  
19 as we had in ELDERLY. We wanted to be confident  
20 that the improvement in cognitive performance was  
21 not just representative of an antidepressant  
22 effect.

1           Recall that both vortioxetine and duloxetine  
2 improved depressive symptoms. As you see,  
3 duloxetine, despite improving depressive symptoms,  
4 did not separate from placebo on the DSST.  
5 Although the numerical differences between  
6 vortioxetine and the active reference were not as  
7 clear as in ELDERLY, CONNECT substantiated that  
8 vortioxetine's cognitive effect on the DSST was  
9 specific to vortioxetine. Vortioxetine also met  
10 significance for both key secondary endpoints, the  
11 PDQ and the CGI-I. As you can see, this was also  
12 true for the active reference.

13           Both vortioxetine and duloxetine improved  
14 patients' depressive symptoms, so we need to  
15 consider that such improvement may confound the  
16 interpretation of the treatment effects on  
17 cognitive dysfunction. Specifically, subjective  
18 measures may to a last degree reflect a patient's  
19 mood state. In other words, while subjective  
20 measures do provide clinically meaningful  
21 information, objective measures, especially in the  
22 clinical trial settings, help us to disentangle



1 effects on cognitive function from effects on  
2 general depressive symptoms.

3 This graph shows vortioxetine's effect on  
4 the primary endpoint, the DSST, and then to the  
5 right, the additional prespecified  
6 neuropsychological tests. We did not see in  
7 CONNECT the same robust effect across all  
8 neuropsychological tests as we had in FOCUS.  
9 Please note, though, that effect sizes in CONNECT  
10 were lower across the board than they were in  
11 FOCUS, including the effect on mood.

12 Importantly, while not reaching nominal  
13 significance, the pattern was in favor of  
14 vortioxetine relative to placebo except for the  
15 Stroop test, supporting vortioxetine's effect on  
16 cognitive dysfunction as assessed by the DSST.

17 Finally, in addition to the DSST, the other  
18 test where vortioxetine separated from placebo was  
19 the Trailmaking B test in more executive function  
20 demanding tasks. Importantly, this replicated the  
21 positive finding on the Trailmaking B already shown  
22 in FOCUS.

1           As in FOCUS, we also looked at a composite  
2           score in CONNECT, with difference that in CONNECT  
3           we included a composite Z-score comprised of all  
4           the neuropsychological tests. Although this  
5           information is not in the briefing book, I would  
6           like to share it with you. Note that vortioxetine  
7           improved cognitive performance as assessed by the  
8           overall composite score and that this improvement  
9           was nominally significant unlike duloxetine.  
10          Further, the effect size of this improvement was  
11          comparable to the effect size on the DSST.

12           To address the question of whether  
13          vortioxetine's distinct profile would translate  
14          into improved functioning, in CONNECT we included  
15          the UPSA as an objective measure that correlates to  
16          everyday functioning. Patients are asked to  
17          role play daily life related tasks in order to  
18          evaluate their skills in a range of errors. For  
19          example, patients are asked to dial a number from  
20          memory or call to reschedule a doctor's  
21          appointment.

22           The UPSA has been widely used, particularly

1 but not limited to schizophrenia trials, but the  
2 CONNECT study was the first large scale depression  
3 study in which it has been applied. This graph  
4 shows that vortioxetine did indeed improve  
5 patients' functional capacity as measured by the  
6 UPSA, while the active reference did not. This  
7 suggests that performance-based measures such as  
8 the UPSA capture effects not addressed by the  
9 traditional depression scale such as the MADRS.

10 Work related outcomes are important  
11 functional outcomes for depressed patients, so we  
12 also included the WLQ in CONNECT as a way to assess  
13 effects on real-world functioning. The WLQ is a  
14 work limitation questionnaire, which we ask all  
15 working patients in the trial to fill out. We ask  
16 patients to rate how difficult they found it to  
17 start work each day or to work the required number  
18 of hours as reflected by the time management score.  
19 Likewise, they rated how difficult it was to work  
20 fast enough or to handle the workload as reflected  
21 by the &output demand score.

22 You can see vortioxetine, in contrast to the

1 active reference, separated from placebo on the  
2 time management score. This suggests that the WLQ  
3 captured effects related to functioning that cannot  
4 solely be explained by the improvement in  
5 depressive symptoms. As with the UPSA data, we  
6 were intrigued by these findings, as they added to  
7 the evidence of clinical relevance in supporting  
8 the distinct profile of vortioxetine.

9 Let me summarize our evidence. Vortioxetine  
10 consistently improved DSST performance across all  
11 three studies with standardized effect sizes  
12 ranging from 0.25 to 0.52. FOCUS confirms that the  
13 effect we saw in ELDERLY held true for adults.  
14 CONNECT replicated the findings from FOCUS. As you  
15 heard from Dr. Jaeger, such effect sizes are  
16 similar in magnitude to the cognitive deficits seen  
17 in depression. They are also similar to all  
18 benchmarks and they want to be considered as  
19 clinically meaningful.

20 In the studies where it was included, the  
21 active reference did not reach significance despite  
22 improvement on depressive symptoms, supporting that

1 these effects cannot be attributed solely to the  
2 effects on depressive symptoms.

3           Indeed, we aimed throughout our clinical  
4 program to support that vortioxetine's effect on  
5 cognitive dysfunction in MDD was not just due to  
6 improvement in mood. To do this, we did two  
7 things. First, in two of our studies, we used an  
8 active reference with reliable effects on mood.  
9 Second, as you see here, we also applied a path or  
10 mediation analysis in all three studies,  
11 prespecified in FOCUS and CONNECT.

12           Simply put, this statistical analysis gives  
13 us an estimate of the proportion of indirect effect  
14 that is mediated through improvement in depressive  
15 symptoms. This is illustrated by the white part of  
16 the bars. It therefore also gives us an estimate  
17 of the proportion of effect on cognitive  
18 dysfunction that cannot be explained by improvement  
19 in depressive symptoms, as illustrated by the  
20 colored part of the bars.

21           Across all three studies, when you adjust  
22 for the effect on the MADRS, the majority of the

1 effect of vortioxetine on DSST is retained, thus  
2 indicating a notable independent effect on  
3 cognitive performance. Let me note that the effect  
4 of duloxetine was primarily an indirect effect  
5 mediated by the effect on depressive symptoms.

6 Taken together, our nonclinical and our  
7 clinical data suggests that vortioxetine's effect  
8 on cognitive dysfunction in MDD is both distinct  
9 and mood-independent. We have a substantial number  
10 of animal studies that support vortioxetine's  
11 beneficial effect on cognitive function not seen  
12 with SSRIs or SNRIs, suggesting that vortioxetine  
13 is different from other antidepressants.

14 Recent data from our human fMRI study in  
15 subjects remitted from depression suggests that  
16 vortioxetine improves neuronal efficiency during  
17 cognitive processes. You will find all these data  
18 in our briefing book.

19 Most importantly, the data from all three of  
20 our clinical studies demonstrated a positive and  
21 lasting mood-independent effect on cognitive  
22 dysfunction as measured by the DSST, and we saw

1 this effect substantiated across a broad range of  
2 cognitive functions, a profile not seen with an  
3 active reference. Finally, these effects are  
4 further supported by improvement on measures of  
5 performance-based functional capacity, work  
6 productivity, as well as on patient-reported  
7 cognitive function.

8 Thank you very much, and now Dr. Fava will  
9 share his clinical perspective on the data.

10 **Industry Presentation - Maurizio Fava**

11 DR. FAVA: Thank you very much.

12 Good afternoon. I'm Maurizio Fava, and I'm  
13 executive vice chair of the Department of  
14 Psychiatry at Mass General. I'm also director of  
15 the Division of Clinical Research of the MGH  
16 Research Institute.

17 I'm a practicing clinician, and I've been a  
18 depression researcher for 30 years. And I  
19 certainly have focused some of my research on the  
20 effects of depression on cognition and the effects  
21 of treatment on cognition in depression. I have  
22 served as a consultant to Takeda and Lundbeck for

1 the past few years. I do all my consulting through  
2 Mass General, so I don't receive any personal  
3 compensation for my consulting, either directly or  
4 indirectly.

5 Now, this morning, before I begin, I have to  
6 say that the discussion really originated with me  
7 as a clinician, as I've had a number of cases of  
8 patients presented to me after responding to other  
9 depressant therapies and yet complaining of  
10 cognitive issues at their workplace.

11 They would tell me that they would go to  
12 meetings, and they wouldn't remember the words.  
13 They couldn't articulate their thoughts. They  
14 couldn't focus. They would get distracted. And  
15 they felt that even though their mood was clearly  
16 better and their energy and their sleep, there was  
17 something still fundamentally wrong with their  
18 cognition. And I think as a clinician, it's very  
19 important that those patients have treatment  
20 options.

21 As we've heard this morning, cognitive  
22 dysfunction is a common symptom in depression, and



1 it is a significant contributor to functional  
2 impairment in these patients. As such, it's  
3 associated with a greater severity of illness and  
4 disability, and it's often unfortunately not  
5 adequately addressed by existing therapies, as  
6 shown very well by the recent review by Richard  
7 Keefe. In fact, I think this morning, Dr. Ionescu  
8 mentioned the use of stimulants, that sometimes  
9 clinicians add on to another depressant because of  
10 the inadequacy of addressing cognitive impairment  
11 in depression.

12 Many people in our field feel that cognitive  
13 impairment in depression is not as important as in  
14 schizophrenia or bipolar disorder, as Dr. Trivedi  
15 mentioned this morning. But we're I think finally  
16 beginning to see that that is not the case. So  
17 I've taken the opportunity of using data from the  
18 FOCUS and CONNECT studies to provide an example of  
19 how common cognitive dysfunction is in major  
20 depression. And as you can see from the next  
21 slide, cognitive dysfunction was quite common.

22 We've taken a very conservative approach to

1 the definition of objective impairment. So we've  
2 used as a definition 1 standard deviation or more  
3 below the norm on at least two of four objective  
4 tests, including the DSST. And using this  
5 definition, as you can see, approximately  
6 65 percent of the patients in both CONNECT and  
7 FOCUS had cognitive impairment.

8 In CONNECT, when we add those, we're  
9 cognitively impaired by subjective measures only,  
10 and we use for that the CPFQ, this instrument that  
11 we've developed, where they scored markedly  
12 impaired on at least two of the four CPFQ cognitive  
13 domains. You can see that as many as 80 percent of  
14 the patients with depression report cognitive  
15 impairment. So this is a very common problem in  
16 clinical practice.

17 When you look at the data for vortioxetine  
18 on DSST, as a clinician, I'm struck by the fact  
19 that you have extraordinary consistency across the  
20 three studies. It's so hard in depression studies  
21 to have consistent outcomes. Half of the time,  
22 when we run studies, effective treatment separates

1 from placebo only half of the times. So the fact  
2 that both the ELDERLY, the FOCUS, and CONNECT was a  
3 consistent effect size I think is very impressive.  
4 I think in the cognitive measure, the effect of the  
5 cognitive measure is clearly unprecedented. In  
6 addition, this effect is largely independent of the  
7 mood effect as suggested by the path analysis that  
8 Dr. Olsen referred to.

9 Now, the starting point to answer the  
10 question of the clinical meaningfulness of this  
11 data I think is the magnitude of the standardized  
12 effect on the DSST, which range between 0.25 and  
13 0.52 for vortioxetine, and it's in sharp contrast  
14 to the effect size as detected for duloxetine.

15 Second, from a patient perspective, it's  
16 important to note that the improvement and  
17 cognition of vortioxetine was not limited to  
18 objective measures but was also shown and  
19 demonstrated in the subjective measures, so  
20 cognitive impairment.

21 Third, the ultimate goal of treatment of  
22 cognitive dysfunction in depression is to actually

1 improve function. And therefore, the treatment  
2 effects of vortioxetine that were detected with the  
3 WLQ and with the UPSA are clearly noteworthy, as  
4 they speak to this effect on functional capacity.  
5 And last but not least, there were no deleterious  
6 effects of vortioxetine treatment on other measures  
7 of cognitive dysfunction.

8           So I'm here today, as a clinician and a  
9 clinical researcher, to give you my perspective on  
10 this data, and my knowledge of this data clearly  
11 affected my practice. Recently, I had a patient in  
12 my practice, who had been on an SSRI for some time,  
13 doing well, and I switched him to vortioxetine.

14           A couple weeks ago, he came back for  
15 follow-up, and he said to me, "You know, Doctor, on  
16 the SSRI, I thought I was better, and I was. But  
17 upon switching to this new antidepressant, I feel  
18 the same mood-wise, but my thinking has clearly  
19 improved, my memory is better, and my mind is  
20 sharper." And he asked me, "Am I imagining this?"

21           Well, I think this is an important factor,  
22 that my knowledge of the data from the studies led

1 me to prescribe in this case a different treatment,  
2 and this data, in my opinion, should be available  
3 to a wide range of clinicians. Cognitive  
4 dysfunction in depression is an important clinical  
5 problem for virtue of its prevalence, persistence,  
6 and impact on overall function. Vortioxetine has  
7 demonstrated favorable treatment effects on  
8 cognition in MDD across these studies.

9 In the three studies in which the effects  
10 were shown to be largely independent of the effects  
11 on mood in contrast to duloxetine, as pointed out  
12 by Dr. Olsen. Moreover, vortioxetine treatment was  
13 also associated with improved subjective cognitive  
14 function and improved functional capacity.

15 These results in my mind are clinically  
16 meaningful with respect to the treatment of  
17 depression. And I feel that they should be shared  
18 with physicians treating patients with depression,  
19 particularly in the context of something that  
20 Dr. Ionescu alluded to this morning. There are  
21 many clinicians that end up using polypharmacy just  
22 adding Modafinil and stimulants with very little

1 data. But they do it because there's an unmet  
2 need. And they end up using these drugs and using  
3 polypharmacy to address the fact that many patients  
4 respond to antidepressants but still have cognitive  
5 impairment.

6 Knowing that monotherapy with vortioxetine  
7 may reduce the need for polypharmacy down the road  
8 in my opinion is important, and knowing that there  
9 is an effect of vortioxetine consistently through  
10 different studies I think is also important. Thank  
11 you.

12 So I'd like to ask Dr. Mini, who's vice  
13 president and global medical head of CNS medical  
14 affairs at Takeda, to provide a conclusion.

15 **Industry Presentation - Louis Mini**

16 DR. MINI: Good afternoon. My name is Lou  
17 Mini, and I'm global medical head for neuroscience  
18 at Takeda Medical Affairs. I'm a board certified  
19 psychiatrist who was practice for several years,  
20 and it's now my privilege to deliver the  
21 conclusions of today's presentation.

22 Up until this point in time, the

1 effectiveness of pharmacologic treatments for major  
2 depressive disorder has largely been equated with  
3 reducing mood and somatic symptoms to some  
4 acceptable level. This is what most of us  
5 clinicians were taught during our training. You  
6 just heard Dr. Fava describe how cognitive  
7 dysfunction affects a large percentage of patients  
8 with major depression.

9 As was mentioned this morning, we've known  
10 this for a long time, yet this important aspect of  
11 the illness has not been well addressed, and as  
12 such represents a significant research and  
13 treatment gap since cognitive dysfunction is a  
14 serious and disabling feature of major depressive  
15 disorder for many patients.

16 Dr. Olsen presented the data related to the  
17 vortioxetine cognition program in depression, the  
18 results of which represent important new medical  
19 information that we believe should be added to the  
20 U.S. product label for vortioxetine. This is  
21 needed in order to enable the medical community to  
22 more fully treat patients with MDD by understanding

1 better in addressing its cognitive component.

2           There's also a need to better understand the  
3 medications used to treat patients with depression,  
4 and that was the purpose behind these clinical  
5 trials and why we examine vortioxetine in this way  
6 and to this extent.

7           An antidepressant therapy that can improve  
8 cognitive dysfunction would provide an added  
9 benefit by broadening a clinician's ability to  
10 treat and address this key aspect of major  
11 depressive disorder, thus helping many patients  
12 suffering with this illness. The treatment of  
13 major depression should not be focused only on mood  
14 and somatic symptoms, but should also target  
15 cognitive symptoms in order to offer patients the  
16 best chance at optimal recovery.

17           The vortioxetine cognition program was  
18 innovative and founded on a sound scientific  
19 rationale, strong research principles, and  
20 supporting evidence from a variety of sources.  
21 There are four key points that argue for benefit,  
22 and I'll take them one at a time.



1           First, the pharmacologic profile. As you  
2 heard, vortioxetine serotonergic receptor activity  
3 is believed responsible both for its antidepressant  
4 properties and its effect on cognitive dysfunction.

5           Second, nonclinical studies show a  
6 consistent reversal of cognitive deficits in animal  
7 models. This was not seen with SSRIs and SNRIs  
8 subjected to the very same testing.

9           Third, clinical fMRI data in remitted  
10 patients displayed effects in key brain regions  
11 when performing a cognitive tasks after  
12 vortioxetine administration, effects that are in  
13 direct opposition to what you see in major  
14 depressive disorder.

15           Finally, prospective placebo-controlled  
16 clinical trials. These trials were notable in  
17 their size, scope, and focus and are aimed directly  
18 at assessing vortioxetine's effect on cognitive  
19 dysfunction in adult patients with acute major  
20 depressive disorder. So at a molecular level, a  
21 preclinical level, and an experimental medicine  
22 level, you can see the scientific rationale built

1 with the most important data being the effects seen  
2 on the DSST versus placebo in clinical trials with  
3 vortioxetine.

4 This was the first clinical program to  
5 specifically address the unmet need of cognitive  
6 dysfunction in adult patients with acute major  
7 depressive disorder, and as such, there was no  
8 roadmap, no guidance on clinical research to  
9 follow. As was mentioned, this has been an  
10 evolving clinical concept.

11 A commitment was made by our companies to  
12 learn more about vortioxetine's treatment effects  
13 in depressed patients. Our research was grounded  
14 in the best science available, and we consulted  
15 with a variety of leaders in the field, both with  
16 respect to cognitive in depression and  
17 neuropsychological testing.

18 The two pivotal trials, FOCUS and CONNECT,  
19 each involving over 600 patients, went well beyond  
20 any prior clinical research on this issue with any  
21 other antidepressant, and these clinical trials  
22 achieved their main objective. The primary

1 endpoint was met in both pivotal trials.

2 To conclude, vortioxetine is indicated for  
3 the treatment of major depressive disorder. In two  
4 large adequate and well controlled studies,  
5 vortioxetine was effective in the treatment of  
6 cognitive dysfunction and acute major depressive  
7 disorder as assessed by the DSST. And as experts  
8 Dr. Jaeger and Dr. Fava described earlier, these  
9 data are clinically meaningful. Moreover, the  
10 results are consistent and advance our  
11 understanding of vortioxetine's clinical profile.

12 It is the sponsor's view that this is  
13 important information to communicate to prescribers  
14 through the product label. We propose adding such  
15 information in the clinical study section language  
16 that describes the effect of vortioxetine versus  
17 placebo on the DSST within the currently indicated  
18 population of patients with major depressive  
19 disorder and language that appropriately conveys  
20 the meaning of these results.

21 On behalf of the entire team, thank you for  
22 the opportunity to present what we believe is

1 important research that warrants consideration.

2 **Clarifying Questions**

3 DR. PICKAR: Thank you very much. We're  
4 going to move to some clarifying questions before  
5 break, and then FDA presentation. The floor is  
6 opened to questions of individuals. It will be  
7 helpful to ask them to specific individuals or  
8 whatever seems appropriate. Dr. Grieger, did you  
9 have a question? Oh, sorry. See, I didn't look at  
10 my list here.

11 Yes, Dawn?

12 DR. IONESCU: Thanks so much. I'm not sure  
13 who to direct this question to, but in both the  
14 FOCUS and CONNECT studies, the objective impairment  
15 was somewhere around 64, a little bit more, in both  
16 of the studies for the patients. Is there any  
17 indication that the DSST scores improved more in  
18 the patients who came in with baseline cognitive  
19 dysfunction versus patients that weren't considered  
20 to have cognitive dysfunction as according to the  
21 subjective impairment scale to begin that study?

22 DR. MINI: I'm going to direct your question

1 to our clinical lead, Dr. Olsen.

2 DR. OLSEN: Thank you. The short answer is  
3 no. There were no subgroup identified with a  
4 particular beneficial effect of vortioxetine.  
5 Across the three studies, you also actually had a  
6 benefit, you can say, in higher performance  
7 patients. And just to remind you, we do not know  
8 the premorbid level of the performance.

9 DR. PICKAR: Dr. Grieger?

10 DR. GRIEGER: Just to put this into  
11 perspective, what are the range of the raw scores  
12 on the DSST? Would it be the actual number of  
13 questions answered prior to treatment,  
14 post-treatment? Are we talking about a change that  
15 goes from 42 to 48? Are we talking about a change  
16 that goes from 42 to 44?

17 DR. MINI: Dr. Olsen again.

18 DR. OLSEN: It is more in the range of 42 to  
19 48 to 50.

20 DR. GRIEGER: Do you have a graphic that  
21 shows that?

22 DR. OLSEN: Yes.

1 DR. GRIEGER: Because the other question I  
2 have that goes along with that is, are there some  
3 responders -- some number of subjects who improve  
4 dramatically that they pulled the statistic up,  
5 whereas a large portion may not improved at all?  
6 That's why -- a lot of the graphics don't show  
7 that.

8 DR. OLSEN: Yes. You're thinking about the  
9 distribution curve, some changes.

10 DR. GRIEGER: Essentially, yes, but also the  
11 raw score. We're talking about clinical  
12 significance. How helpful is it to go a couple of  
13 points up on that test?

14 DR. OLSEN: I will ask my colleagues to  
15 comment on that.

16 DR. MINI: So you're asking what's the  
17 significance of the change that we saw in the  
18 study? I just want to clarify so we get you the  
19 right answer and the right person.

20 DR. GRIEGER: I want a perspective on this.  
21 I want to know -- you know, like you would have  
22 MADRS score or a HAMD score. What is the score

1 change associated with the effect size? Raw data.

2 DR. MINI: Okay.

3 MR. LOFT: Henrik Loft from Lundbeck,  
4 biostatistics. Concerning the baselines, in all  
5 three studies, they were around 42. I'd like to  
6 show the distribution of the changes from baseline  
7 in the FOCUS study. Slide up, please.

8 You'll note, scores to the right are  
9 improvements. And as you can see, in neither of  
10 the groups are tendencies for spikes to the left,  
11 that would indicate that the results were driven by  
12 very large responses by a few subjects or by many  
13 subjects. All three distributions also show nice  
14 normality and no evidence of -- by modalities. And  
15 you can see the ranges of the changes from minus 20  
16 to plus 20.

17 DR. GRIEGER: So those are actually the  
18 score changes? Somebody correctly did 18 digits  
19 more after being treated?

20 MR. LOFT: Yes.

21 DR. GRIEGER: Okay. Thank you.

22 DR. PICKAR: Dr. Stein?

1 DR. STEIN: Question for Dr. Fava. I just  
2 want to -- I was impressed by the story you were  
3 telling about the patient you saw recently and the  
4 cognitive dysfunction that the patient had and how  
5 important that was. So knowing what you know about  
6 cognitive dysfunction in depression and what you've  
7 learned about this drug, how would you see -- and  
8 I'm asking you to extrapolate. How would a label  
9 change that's being proposed affect the way you  
10 would practice?

11 So if you had somebody who was doing really  
12 well on their -- let's say it's an SSRI, except you  
13 then detect that they've still got some residual  
14 cognitive complaints, would you actually switch  
15 medicines to a drug like vortioxetine or would you  
16 try and pick up cognitive symptoms before you  
17 started treatment and preferentially go with that  
18 drug? And if you were going to do that, how would  
19 you do that clinically?

20 DR. FAVA: Well, in practice, we do this all  
21 the time. For example, when a patient is better on  
22 an SSRI but the insomnia has not improved at all,



1 you will switch, let's say, mirtazapine, or  
2 tricyclic, or seek promoting [indiscernible]  
3 antidepressant to really kind of address this  
4 residual problem that is not resolved by the drug.  
5 So in practice, we do switch antidepressants when  
6 we feel that the antidepressant that they responded  
7 to has not addressed a particularly critical aspect  
8 of the depression.

9 Now, I don't know what should go on the  
10 label. I think this would be, assuming  
11 negotiation, part of the negotiation. But it seems  
12 to me that as a clinician, I would like to be able  
13 to know the data, and now simply know it because  
14 I'm an expert in this area and I've seen the data.

15 DR. PICKAR: Dr. Portis?

16 DR. COMPAGNI PORTIS: I have a few  
17 questions. One, just have a follow-up on  
18 Dr. Grieger's question. I understand that there's  
19 statistically significant numbers, but what were  
20 the actual numbers of people that were helped, that  
21 showed a distinct improvement?

22 DR. MINI: Well, are you talking about me or

1 responder analysis?

2 DR. COMPAGNI PORTIS: Yes.

3 DR. MINI: That's a key issue. We've looked  
4 at this in a number of ways, and I'll have  
5 Dr. Buller describe it for you.

6 DR. BULLER: Raimund Buller, clinical  
7 development, Lundbeck. We looked at response rates  
8 in different ways, so maybe the easiest one is to  
9 look at who and how many patients would have a  
10 1-point, 3-point, 5-point improvement. You have  
11 seen the distribution. There are individuals there  
12 that have larger improvements. But the slide I'm  
13 going to show -- slide up -- just shows you, for  
14 the two studies, the percentage of patients who  
15 have at least 1 point or at least 5 points, that's  
16 the extremes.

17 You see across the range, there is up to  
18 70 percent of patients who would have a benefit of  
19 5 points or larger on vortioxetine and 20 percent  
20 less on placebo. This translates into number  
21 needed to treat of 5.

22 In the CONNECT study, as you have seen, the

1 effect sizes are somewhat larger, but even so, you  
2 have always an advantage, numerical advantage for  
3 vortioxetine over placebo.

4 DR. COMPAGNI PORTIS: And a couple other.  
5 And how do you explain -- in the material we got,  
6 there was a difference in the response rate, the  
7 U.S. population versus those that were studied in  
8 centers outside the U.S. So I wonder how you  
9 explain or understand that.

10 DR. MINI: Dr. Olsen?

11 DR. OLSEN: We did indeed see lower effect  
12 sizes in the U.S. population compared to the  
13 non-U.S. Now, that is unfortunate and not  
14 uncommon. That we had experienced in our overall  
15 depression program, to notice that this was not  
16 only for DSST, but that actually was for the whole  
17 range of efficacy assessments. The exact reason,  
18 we do not know. However, important, it was in the  
19 same direction, so we also improved performance in  
20 depression in this U.S. population.

21 DR. MINI: And I would further point out  
22 that that was not specific to vortioxetine. We

1 also saw the same thing with duloxetine as well.

2 DR. COMPAGNI PORTIS: How did you choose the  
3 duloxetine rather against an SSRI? I'll try to  
4 stop asking --

5 DR. MINI: Okay. Dr. Olsen?

6 DR. OLSEN: So we were intrigued by the  
7 study from Raskin, where they in fact had shown  
8 some effect on cognitive dysfunction, the learning  
9 and memory. And also, an SNRI have another profile  
10 than SSI [ph], so there's also contribution from  
11 the noradrenergic system. Now, vortioxetine has  
12 this unique broader profile, and our hypothesis was  
13 would we in fact act on a broader range of  
14 cognitive function unlike duloxetine. So, yes, we  
15 chose duloxetine as a high bar.

16 DR. COMPAGNI PORTIS: So just to clarify  
17 also, in the presentation, you said that there are  
18 lasting effects, cognitive positive effects, but  
19 the study was only 8 weeks. Is that correct? Do  
20 you have data beyond that?

21 DR. MINI: Both studies were of 8 weeks  
22 duration, that's correct. We don't have data

1 beyond 8 weeks on cognitive performance.

2 DR. PICKAR: Dr. Ionescu?

3 DR. IONESCU: I was wondering if you could  
4 elaborate a little bit more on the fMRI data? We  
5 learned about some interesting things this morning,  
6 specific areas of the brain that are believed to be  
7 affected in patients with depression and cognitive  
8 dysfunction. Were there any changes pre-,  
9 post-vortioxetine in these specific brain areas  
10 that could potentially be important?

11 DR. MINI: The fMRI study was, again,  
12 another piece of evidence to add to the scientific  
13 rationale. We'll have Dr. Connie Sanchez address  
14 your particular question on the study.

15 DR. SANCHEZ: Connie Sanchez, pharmacology,  
16 Lundbeck. I think the primary aim of this fMRI  
17 study was to investigate the effect of vortioxetine  
18 versus placebo under neuronal networks that are  
19 involved in the working memory tasks or the impact  
20 task. And what we found was that in the dorsal  
21 lateral prefrontal cortex, we saw a reduction of  
22 the bold signal in the group that was treated with

1 vortioxetine compared to placebo, which would  
2 indicate a reduced energy requirement in order to  
3 perform the tasks.

4 In addition, we saw a significant decrease  
5 in the hippocampus, a further deactivation of the  
6 hippocampus, which is another network effect that  
7 has been seen to be necessary for the impact task.  
8 So basically what we found was that vortioxetine  
9 decreased the energy demand to conduct a cognitive  
10 task.

11 DR. PICKAR: A couple questions here. Were  
12 there any predictors of individual response? As an  
13 old clinical researcher, I always like to see what  
14 got us there. Anything flagged on those folks?

15 DR. MINI: We looked at this in a variety of  
16 ways. We did not see anything that stood out.  
17 However, Dr. Buller could go over the subgroup  
18 analysis for you.

19 DR. BULLER: Yes. We were also interested  
20 in this question, and therefore we did the usual  
21 subgroup analysis by age, gender, region, and  
22 severity level. Slide up, please.

1           What you see on this slide is the forest  
2 plots for the effect of vortioxetine in the three  
3 studies by various subgroups. And what may make  
4 you wonder is the effect on age, about 50 in the  
5 CONNECT study on men. But please note that these  
6 are small numbers, so they don't really allow for a  
7 conclusion, and they are not replicated.  
8 Especially, it's worthwhile pointing out that in  
9 the ELDERLY study, we have shown efficacy. So in  
10 summary, we did not see any subgroup that had  
11 particularly better or worse efficacy in our  
12 trials.

13           DR. PICKAR: The DSST is maybe one of the  
14 most sensitive measures for just global dysfunction  
15 in general. And I'm sure you count -- I'm sure  
16 Maurizio in his practice encounters people who have  
17 processing speed deficits as part of clinical  
18 psychiatry, ranges of those.

19           My guess is there was no premorbid  
20 information about these people, if anybody had a  
21 learning disability, anything that would speak for  
22 why they may not have responded or did respond.

1 And secondarily, did you ever consider  
2 administering it to somebody with DSST deficits not  
3 related to depression?

4 DR. MINI: Dr. Olsen?

5 DR. OLSEN: To your answer, you were asking  
6 whether we had a specific premorbid level in any  
7 indications on the DSST. We had not. To your  
8 second question, please rephrase that again.

9 Thanks.

10 DR. PICKAR: That was a principle, and then  
11 the next question would be, since it's quite common  
12 to have DSST scores in the range you're looking at,  
13 it's just not that uncommon, did you ever  
14 administer it to somebody with DSST scores like  
15 that but who was not depressed?

16 DR. OLSEN: No. In fact, in the imaging  
17 study where we also had a healthy control group, we  
18 did also administer vortioxetine. And they  
19 actually also administered the DSST, but there was  
20 no improvement on the DSST.

21 DR. PICKAR: Dr. Narendran?

22 DR. NARENDRAN: I just have a general



1 question. The DSST, has it been used for any other  
2 product development for any other clinical  
3 population like for psychostimulants in ADHD? If  
4 you use it, do you know what the effect size would  
5 potentially -- has it been -- is it known? Is it  
6 available? And how would that compare to your  
7 effect size in depression?

8 DR. MINI: I'm going to turn to our experts  
9 in the group. Dr. Jaeger maybe; Dr. Harvey may  
10 have comment after.

11 DR. NARENDRAN: Thank you.

12 DR. JAEGER: Yes. I just want to make a  
13 point here. It's extremely difficult to improve  
14 cognitive function in humans. It's very difficult  
15 to improve it, to move it at all, right? And in  
16 ADHD, the endpoint for clinical trials is a  
17 behavioral scale, not typically a cognitive test.  
18 So I'm not aware that it's been used in that way.  
19 It has been used as a highly sensitive measure for  
20 detecting adverse effects, so you'll see it used  
21 there as a safety measure in fact.

22 DR. MINI: Dr. Harvey, did you want to

1 add --

2 DR. PICKAR: Phil, Dr. Harvey, do you have  
3 anything to add?

4 DR. HARVEY: I'm Phil Harvey from the  
5 University of Miami, and I'm being compensated for  
6 serving as an expert on this panel, but I have no  
7 financial interest in the outcome in terms of stock  
8 at Takeda or Lundbeck.

9 The DSST in related measures like  
10 Trailmaking Part B have been used as outcome  
11 measures in computerized cognitive enhancement  
12 studies. And in one of the more successful ones of  
13 those, the effect size for improvement on Trails B  
14 was 0.4 standard deviations, which is exactly the  
15 magnitude of improvement seen with vortioxetine  
16 treatment on Trails B in these clinical trials.

17 So that's the level of improvement that can  
18 be induced with a systematic cognitive remediation  
19 intervention. Unfortunately, the majority of  
20 cognitive enhancement studies where the Digit  
21 Symbol has been applied, or related tests, have  
22 been unsuccessful because, as Dr. Jaeger said,

1 cognitive enhancement is difficult to come by  
2 pharmacologically.

3 DR. PICKAR: Thank you very much. We're  
4 going to be taking a break, a 10-minute break.  
5 Remember, no discussions except for -- Dr. Mathis?

6 DR. MATHIS: Thank you. I'll just ask one  
7 more question. Dr. Fava, on your slide CP-4, you  
8 define an objective impairment as more than 1  
9 standard deviation below the norm on at least two  
10 of the following: DST, CRT, Trailmaking A or B.  
11 And I think that's a baseline that you have.

12 DR. FAVA: That is correct, yes, the  
13 baseline, correct.

14 DR. MATHIS: Do you or the company have  
15 those two bar graphs post-treatment for  
16 vortioxetine and duloxetine in CONNECT for  
17 instance, with placebo?

18 DR. FAVA: Let me ask Dr. Olsen.

19 DR. OLSEN: Yes, we do have. Slide up,  
20 please. So what we do see is that in the other  
21 graph, the FOCUS and CONNECT, it's the patients  
22 which have impairment in the cognitive tests, in

1 two or more cognitive tests more than 1 standard  
2 deviation below norms, and the lower figures are  
3 patients who did not. So in FOCUS, you will see  
4 that in both groups. You will see an improvement  
5 on your DSST. In CONNECT, the improvement on the  
6 DSST is more pronounced in the objective impaired  
7 patients.

8 DR. PICKAR: Sure.

9 DR. UNGER: Hi. I'm Dr. Ellis Unger. I'm  
10 director of Office of Drug Evaluation I. But the  
11 question was, really, in that slide, CP-4, you've  
12 basically defined objective impairment as at least  
13 1 standard deviation below the norm on at least two  
14 of the following tests.

15 So the question is, post-treatment in  
16 CONNECT -- yes, talking about the bar graph on the  
17 left, and there's the definition at the bottom. So  
18 then, in CONNECT, for the three treatment groups,  
19 placebo, duloxetine, and vortioxetine, what would  
20 that bar graph look like post-treatment?

21 DR. OLSEN: All three?

22 DR. UNGER: Yes, all three. And you may not

1 have it now, but maybe you could get it.

2 DR. OLSEN: I have it now. Slide up,  
3 please. So you'll see the same graphs, and now to  
4 the right, also with duloxetine. Again, across all  
5 three, all the four groups, there's an improvement  
6 of vortioxetine on the DSST. You see it for the  
7 duloxetine active reference, also a more pronounced  
8 effect in the guys -- or in the patients having  
9 more severe at baseline.

10 DR. UNGER: The graph I'm looking for, the  
11 Y-axis would be percent of patients, just as it is  
12 on CP-4 on the left.

13 DR. OLSEN: I do not have that presentation  
14 of the data.

15 DR. PICKAR: Might you share your  
16 thoughts -- Doctor, might you share your thoughts,  
17 what you're getting at, because I wasn't quite  
18 clear myself.

19 DR. UNGER: The point of the left side of  
20 CP-4 was to show that some 64 percent of patients  
21 were impaired at baseline. So they've made an  
22 operational definition of impaired, and they've

1 dichotomized the population, you're impaired,  
2 you're not impaired, 64 percent are impaired. So  
3 using that same definition, then after treatment,  
4 what percentage of patients would you categorize as  
5 impaired, based on the same definition you used  
6 there, greater than 1 standard deviation?

7 DR. TEMPLE: And you know there's going to  
8 be improvement on that in all three groups, but you  
9 want to see the difference.

10 DR. MINI: Yes. We don't have that  
11 information at this point.

12 MALE VOICE: [Off mic.] Just to inform you,  
13 it's now a 5-minute break.

14 (Laughter.)

15 DR. PICKAR: I've just been informed it's a  
16 5-minute break. If I don't get out of here, it's  
17 going to go to 2. So let's take our break, come on  
18 back. FDA presentation, then open public hearing.

19 (Whereupon, at 2:32 p.m., a recess was  
20 taken.)

21 DR. PICKAR: Ladies and gentlemen, take your  
22 seats, please. And we'll prepare to hear the FDA

1 presentations, and we'll begin with Dr. Farchione.

2 **FDA Presentation - Tiffany Farchione**

3 DR. FARCHIONE: I'm back again. For the FDA  
4 presentations, I'm going to try to lay the  
5 groundwork for our presentations by giving you some  
6 background into the regulatory history of this drug  
7 development program to give you an idea of the way  
8 that things would work under ideal circumstances.  
9 This is mostly for the folks in the room who aren't  
10 in industry and who aren't regulators. This is for  
11 the folks who don't necessarily have an idea of how  
12 things work at the FDA, which is most of the world,  
13 honestly.

14 It's not like a company comes in, and  
15 they've got this package that they've got all  
16 completed at the end of the day, and they just  
17 present it to us and say, hey, can we get our  
18 indication? There's a lot that goes into it before  
19 that, and there is a lot of interaction back and  
20 forth with the agency most of the time. So under  
21 ideal circumstances, a sponsor will come in and  
22 request a pre-IND meeting with us, so the

1       investigational new drug phase. They'll come in  
2       and request a meeting with us before they ever get  
3       started going down that path.

4               So we grant the meeting. We give them some  
5       feedback on their development program. They  
6       incorporate that feedback into their protocols, run  
7       a few trials. The results look promising. Then  
8       they come back to us, get an end of phase 2  
9       meeting. We all kind of sing Kumbaya and agree on  
10      the endpoints and their statistical analysis plan  
11      for phase 3.

12             Then they run two trials, and they're  
13      positive, and adequate, and well controlled. And  
14      they come in and they get their drug approved.  
15      That is obviously the best of possible worlds if  
16      everything ran smoothly. It doesn't always happen  
17      exactly that way.

18             In this case, that process got derailed  
19      pretty quickly. To give you some idea, this was a  
20      product that was already in development just for  
21      the more global indication of treatment in major  
22      depression. So the company came in with a new IND



1 for this separate claim for a cognitive  
2 dysfunction, and they presented this protocol for  
3 study 14122A, the one that we've been calling FOCUS  
4 throughout the morning.

5 Because it was a new IND, we had 30 days to  
6 review it for safety. And at the end of that  
7 review process, we issued a "may proceed" letter,  
8 which means that there weren't any issues that  
9 concerned us for safety enough that we would say  
10 you can't do this trial, so nothing that we would  
11 hold their development at that point. But we had  
12 some additional non-hold comments to provide to  
13 them.

14 In that letter, we said that although we  
15 agree that cognitive symptoms are generally  
16 accepted as a component of MDD, we didn't think  
17 that they had been adequately characterized, yet.  
18 We didn't think that an adequate case had been made  
19 to view them as a distinct clinical target for drug  
20 development.

21 So if you'll recall, this was during the era  
22 where we were pretty firmly entrenched in the idea

1 that this was pseudospecific, and we gave that  
2 feedback at the time. We did at least provide some  
3 guidance in terms of what to do once this is more  
4 well characterized. And we said that if you get to  
5 that point, then we also need to know about the  
6 instruments, and those instruments need to  
7 specifically assess the relevant symptoms.

8           So you've got to define the symptoms that  
9 are relevant first, and then present us with an  
10 instrument that's designed to assess them. And at  
11 that time, we said that we didn't think they had  
12 made an adequate case to support the instruments  
13 they had selected.

14           So because of that, and because of our  
15 stance with regard to pseudospecificity, I think  
16 that's probably part of the reason why the company  
17 continued their development program, but without  
18 really asking for additional input from us.

19           On the one hand, I've got to give them  
20 credit because they were really blazing a new trail  
21 here and sort of flying blind without our guidance.  
22 But on the other hand, we didn't really have

1 guidance to give at that point.

2           The next interaction that we had with the  
3 company was when they submitted the protocol for  
4 study 202, which we've been calling CONNECT all  
5 afternoon, and that was submitted in April of 2012.  
6 And, again, at that time, we provided comments back  
7 to the sponsor after we had reviewed the protocol  
8 and said that we would like to reiterate that  
9 cognitive dysfunction associated with MDD is not  
10 yet recognized as a distinct clinical target for  
11 drug development. And we actually went so far as  
12 to say at that point that it's likely that your  
13 proposed investigation would not support the claim  
14 you are seeking.

15           So fast forward another two years, and the  
16 next interaction that we had was a guidance  
17 meeting. The stated goal of the meeting was to  
18 obtain feedback from us on the adequacy of the  
19 clinical program to support a promotional claim on  
20 cognitive dysfunction. Our comment at that time  
21 was that we really felt it would be necessary to  
22 gather adequate data to fully characterize the

1 entity of cognitive dysfunction in MDD and to also  
2 identify all of the relevant and clinically  
3 important cognitive domains and establish valid and  
4 reliable instruments for objectively assessing the  
5 relevant domains; so basically, the same advice  
6 again, prepackaged, reworded, but it's the same  
7 message.

8 We did at least acknowledge at that point  
9 that cognitive dysfunction in MDD, it's an evolving  
10 field. We knew that, and that we didn't have a  
11 specific regulatory path towards a claim that we  
12 could outline for them. And particularly with  
13 regards to the DSST, we didn't have a path forward.

14 So we did at least go through and describe  
15 some of the issues that we felt would need to be  
16 addressed, and those included things like the  
17 relationship between the changes measured on the  
18 formal cognitive tests and meaningful clinical  
19 change. So this is something that we keep  
20 repeating throughout the day. We questioned  
21 whether there was a need for a functional  
22 co-primary measure in order to ground this in

1 clinical meaningfulness.

2 We also talked about the types of study  
3 designs that would be acceptable for assessing the  
4 effects of antidepressants on cognition. We talked  
5 about the legitimacy of focusing on cognitive  
6 dysfunction when other residual symptoms might be  
7 problematic and whether we should be looking at the  
8 acute phase, which is pretty much what we've done  
9 here -- you started from patients who are acutely  
10 depressed -- or whether maybe you should be looking  
11 at folks who are already in remission and just have  
12 residual or leftover cognitive symptoms. And then  
13 there was a question about what was the appropriate  
14 study duration.

15 So all of these things we really felt like  
16 hadn't quite been resolved or justified to our  
17 satisfaction at that point. And the overall  
18 take-home message was that we were still concerned  
19 about pseudospecificity.

20 Now, you fast forward to the stuff I talked  
21 about this morning, and you've got a whole new  
22 context now. So a few months after this last

1 guidance meeting we had with them, that's when we  
2 had this ASAP meeting and the workshop on cognitive  
3 dysfunction, where we saw all of the data that was  
4 presented and said, okay, well, maybe we're moving  
5 from no to maybe. We might be willing to accept  
6 this as a potential treatment target.

7           Shortly thereafter, you have the MGH Academy  
8 of Psychiatry workshop, and then the Institute of  
9 Medicine workshop, all of these things. The  
10 endpoint of all of those discussions were the same,  
11 that, okay, we've been convinced. We are willing  
12 to consider applications that would be seeking this  
13 claim, but a bunch of caveats here, lots of issues  
14 that are related to study design, and endpoints  
15 that are still unresolved.

16           Of course, now that we've made these  
17 statements publicly and everybody has heard them,  
18 now our lovely folks here on this side of the table  
19 come back, and they said, okay. We've got this  
20 application, we've got this program, and now you've  
21 changed your mind about pseudospecificity. Can we  
22 go ahead and put in our application?

1           What we said at that point is that we do  
2 believe that all antidepressants are going to  
3 improve cognition to some degree, but now we  
4 acknowledge that it's possible that some drugs  
5 might have a greater effect at improving cognition  
6 than others.

7           So that was where we had moved past where we  
8 had been before. Then we went on to say that if  
9 you believe that your drug is better at treating  
10 cognitive dysfunction in MDD, then you need to  
11 demonstrate your drug is superior to other  
12 antidepressants. And this was one of the things  
13 that we talked about this morning, do they need to  
14 demonstrate statistical superiority over another  
15 drug or not.

16           At that point, that was the advice we had  
17 given. I'm not sure that that's still where our  
18 stance is, but again, it was one of these  
19 unresolved issues that we need to consider. So the  
20 take-home message, again, is that with regards to  
21 all of these unresolved issues, it's still pretty  
22 much remained unresolved in a lot of respects.

1 They're all going to be things that are review  
2 issues, so part of the reason why we're here today.  
3 And we did tell the companies that, yes, we're  
4 going to want to talk about this in public. We're  
5 going to want to address all of these issues in an  
6 advisory committee, and here we are.

7 So with that, I will hand it over to Wen-  
8 Hung, who's going to talk to us more specifically  
9 about our review of the primary endpoint, the DSST.

10 **FDA Presentation - Wen-Hung Chen**

11 DR. CHEN: Good afternoon. This part of the  
12 FDA presentation will focus on FDA's review on the  
13 primary endpoint used to support the labeling for  
14 [indiscernible] for cognitive dysfunction in major  
15 depressive disorder.

16 The clinical outcome assessment review is  
17 very focused and very specific to support a  
18 labeling claim, where the endpoint that is used is  
19 based on reliable and well defined clinical outcome  
20 assessment that can be used to describe the  
21 treatment benefit that shows how the individual  
22 feels, functions, or survived and can be clearly



1 described in the label to inform treatment  
2 decisions.

3 In the review of the endpoint and the  
4 clinical outcome assessment, the first questions we  
5 want to ask is what are we measuring. Dr. Pacheco  
6 this morning already presented what the human  
7 cognition function is. Human cognition function is  
8 complex and multidimensional and involves  
9 perception, pattern cognition, attention, learning  
10 memories, language, motion [indiscernible],  
11 executive functions, and processing speed, and also  
12 presented with her a couple of times today already,  
13 that there's no single neuropsychology test that  
14 measures pure cognitive function.

15 Also, there's no one single cognitive  
16 neuropsychology test that measures all cognitive  
17 functions. So the general view is that a battery  
18 of tests is probably necessary in order to assess  
19 the overall cognitive functions.

20 These are slides just showing the primary  
21 and secondary endpoints of the two pivotal studies.  
22 It has been shown, so I will just skip quickly.

1           The DSST is of course the focus of this  
2 review. There are also other endpoints that also  
3 have been presented, including all other  
4 neuropsychological assessments and also  
5 patient-reported outcome, and also a  
6 performance-based functional outcome, functional  
7 assessments, the UPSA.

8           The UPSA, we have been talking about in  
9 order to see if the improvement in DSST is  
10 clinically meaningful. So maybe some kind of  
11 functional assessment is necessary, so I will talk  
12 in more detail about UPSA later.

13           Also, I want to mention that for the work  
14 limitation questionnaire, I classified it as  
15 patient-reported outcome assessment because  
16 basically it is patient reported. That is a big  
17 difference from what we've seen earlier, that  
18 function working limitation questionnaire is  
19 actually listed as functional. It is assessing the  
20 work productivities, but it's also patient  
21 reported.

22           Again, we heard a lot about DSST already.

1 It is a neuropsychological test. Then we  
2 classified this as a broader category of a  
3 performance assessment PF, that the patient  
4 performing the tasks gets score. And then we see  
5 the change in the performance. I won't go through  
6 the detail. Then we see the assessment. We see  
7 the DSST. And this one has been actually used.  
8 It's from the Wechsler Intelligence Scale, or  
9 WISC-3.

10 So the first question about whether it is a  
11 reliable, well defined clinical outcome  
12 assessment -- the first question we have to ask is  
13 what does it really measure in patients with MDD.  
14 Again, we heard a couple of times there's no  
15 definite answer to what the DSST actually measures  
16 in patients with MDD. Dr. Jaeger just earlier  
17 mentioned that it's not specific.

18 In the literature, processing speed has been  
19 mentioned consistently than most. And also the  
20 copy speed, visual motor coordination, motivation  
21 effort, we heard about the -- and also age is one  
22 of the most -- a very significant factor that

1 affects the performance, especially an age older  
2 than 60.

3 Also, DSST has been shown to associate with  
4 other neuropsychology tests also assessing  
5 attention, working memory, and executive functions.  
6 And most of the neuropsychology tests are  
7 intercorrelated overlapping, so I'm just repeating  
8 what we heard. And it's actually what we have been  
9 seeing and the general views.

10 In fact, the exploratory analysis on the  
11 WISC-3, the Wechsler Intelligence Scale,  
12 exploratory analysis shows DSST  
13 loaded [indiscernible] only on processing speed.  
14 That's not loaded on the working memory or the  
15 verbal comprehension, or perception organization.  
16 Dr. Jaeger already showed actually DSST is highly  
17 correlated with working memory and learning for  
18 schizophrenia patients.

19 So this actually highlights that we cannot  
20 pinpoint what DSST actually measures because there  
21 are different versions of DSST out there, and then  
22 there are different patient populations that have

1       been used and described. We see in some studies it  
2       is not correlated with working memory and  
3       attention, and in some studies, it does. So it's  
4       difficult to definitely say what it measures.

5               Also, different patient population has  
6       different types of cognitive dysfunction or  
7       different levels of cognitive dysfunction. And  
8       different forms of DSST have different levels of  
9       difficulties, and we don't know whether the  
10       different levels of difficulty require more or a  
11       different type of cognitive functions. Again,  
12       there's not much data on what DSST measures for  
13       MDD, but probably we can reasonably say that the  
14       processing speed should be at least one of those.

15               The second question in our review of whether  
16       it is a reliable and well defined assessment is  
17       that we also need to answer the question of how  
18       much change is required for clinically meaningful  
19       improvement in patients with MDD. To this day,  
20       there's no empirically-based threshold or changes  
21       in DSST that represents a meaningful improvement.  
22       For example, improvement of 4 numbers correct,

1 improvement of 6 numbers correct. It's not  
2 clinically meaningful.

3 Different from the placebo group, although  
4 it's statistically significant -- I mean, not just  
5 for this submission but for all other drug reviews,  
6 we always ask the question of whether the  
7 significant p-value different from the placebo  
8 group is sufficient. We also need to see whether  
9 the changes, the difference, is also clinically  
10 meaningful.

11 We are not able to find any  
12 empirically-based thresholds for DSST number  
13 correct to ask whether the change is clinically  
14 meaningful in MDD patients.

15 Essential to the question of clinically  
16 meaningful is whether the score changes that we  
17 observe in DSST after 8 weeks of treatment is that  
18 it can be directly translated to the improvement in  
19 the real-world functions. That actually takes us  
20 back to the UPSA. And UPSA was used in the  
21 study 202, CONNECT, one of the additional  
22 endpoints.

1           UPSA is actually a performance-based  
2 assessment designed to assess function capacity for  
3 patients with severe psychiatric disorder, mostly  
4 for schizophrenia or schizo-affect disorder  
5 patients. Here is an example of that. So UPSA  
6 involves role-play tasks that are administered as  
7 simulations for events that the person may  
8 encounter in the community.

9           This slide shows the role-play tasks for  
10 communications skills where the subject is asked to  
11 do various tasks involving using a telephone, and  
12 there are other tasks, involving counting the money  
13 or calculating change.

14           The one thing I would make a note is that  
15 for the CONNECT study, two versions of the UPSA  
16 actually were used. The UPSA brief version, which  
17 only has two domains, was used in Europe, and the  
18 longer version of UPSA, UPSA VIM, was used in the  
19 United States, which has five domains.

20           On one side, you have two versions and two  
21 domains, on the other side, you have five domains.  
22 The total score was calculated with two domains and

1 five domains, and then combined as a total score  
2 subject to these particular tasks.

3 So it makes it a little bit difficult to  
4 interpret the results because you have longer  
5 versions combined with shorter versions together,  
6 and then do [indiscernible] test. So it's kind of  
7 difficult to say whether this improvement is really  
8 related to real-world functioning. And also,  
9 similar like DSST, there's no established threshold  
10 for how much improvement in UPSA is actually  
11 clinically meaningful.

12 In summary, there's no definitive answer to  
13 what DSST actually measures in patients with MDD,  
14 and there's no empirically-based threshold for the  
15 change in DSST score that represents meaningful  
16 improvement in overall cognitive function or  
17 meaningful changes in everyday functioning for  
18 patients with MDD. Thank you.

19 DR. PICKAR: The next FDA speaker is  
20 Dr. Gaymon-Doomes.

21 **FDA Presentation - Aeva Gaymon-Doomes**

22 DR. GAYMON-DOOMES: Hi. Good afternoon.



1 I'm Aeva Gaymon-Doomes, and I'll be here talking  
2 about the clinical safety and efficacy, as soon as  
3 my slides come up.

4 Over the course of the morning and earlier  
5 this afternoon, we heard informed presentations on  
6 cognitive dysfunction in major depressive disorder  
7 and its symptoms. So hopefully, we've all come to  
8 understand that cognitive symptoms occur in about  
9 two-thirds of those diagnosed with major  
10 depression, and they may persist even after  
11 treatment and even while the core mood symptoms are  
12 in remission.

13 There's no formal way to diagnose or measure  
14 this cognitive dysfunction in major depression,  
15 which poses a predicament for clinicians. And  
16 we're here today to really discuss that, cognitive  
17 dysfunction in major depression as being an unmet  
18 need and the current application under review.

19 Vortioxetine was approved here at the FDA in  
20 2013 for the treatment of major depressive  
21 disorder. The recommended doses of 20 milligrams a  
22 day can be lower, depending on the patient's

1 tolerability or metabolism. The efficacy was  
2 established in six short-term trials and one  
3 maintenance study.

4 The mechanism is thought to be related to  
5 inhibition of 5-HT reuptake in the CNS. And while  
6 the applicant hypothesizes that action at the 5-HT<sub>3</sub>  
7 receptors are involved in vortioxetine's cognitive  
8 effects, this is unproven and not included in the  
9 label. And the mechanism of action, as we know it,  
10 relies on nonclinical data and binding studies,  
11 which are not easily translated into clinical  
12 models.

13 The safety of vortioxetine has been well  
14 established. It is an approved drug. There were  
15 no new safety signals identified over the course of  
16 this review, and the most common adverse reactions  
17 in the premarketing clinical trials were seen again  
18 in this application: nausea, constipation, and  
19 vomiting. The labeled warning and precautions of  
20 drugs in this class are serotonin syndrome,  
21 abnormal bleeding, activation of mania/hypomania,  
22 angle closure glaucoma, hyponatremia, and there's a

1 black boxed warning for increased suicidal ideation  
2 and behavior in children, adolescents, and young  
3 adults.

4 The study that initiated this was actually  
5 submitted in the original NDA for major depression.  
6 It was the, as we've heard today, ELDERLY study and  
7 referred to as the 12541A. It was a randomized,  
8 double-blind, parallel group, placebo-controlled,  
9 duloxetine-referenced, fixed-dose study. And this  
10 study evaluated acute treatment of major depression  
11 in elderly patients. And the relevance today is,  
12 included in this was the Digit Symbol Substitution  
13 test as one of many secondary endpoints.

14 After 8 weeks, there was a change from  
15 baseline in the DSST greater in patients taking  
16 vortioxetine 5 milligrams as compared to placebo.  
17 In the duloxetine arm, it was also numerically  
18 better than placebo, but the effect was numerically  
19 smaller than the effect of vortioxetine. This then  
20 encouraged the applicant to pursue a new claim.

21 The first of the two pivotal studies  
22 submitted for the claim of cognitive dysfunction in

1 major depression was the FOCUS study 14122A. It  
2 was an 8-week randomized, double-blind, parallel  
3 group, placebo-controlled, fixed-dose study. It  
4 was the first of the two specifically designed to  
5 assess the effect of vortioxetine on cognitive  
6 dysfunction in adult patients with major depressive  
7 disorder.

8 It included 602 patients that were broken  
9 down into treatment arms of placebo, vortioxetine  
10 10 and 20 milligrams a day, and included patients  
11 that had a MADRS over or equal to 26 and a current  
12 depressive episode greater than or equal to  
13 3 months.

14 This is a study design schematic for the  
15 FOCUS study that does illustrate three treatment  
16 groups and the fact that the vortioxetine arm had a  
17 1-week lead in if you were going up to  
18 20 milligrams before ending treatment at 8 weeks.

19 The primary endpoint of the FOCUS study,  
20 we're talking a lot about that in our presentation.  
21 It was a change from baseline to week 8 in a  
22 composite cognitive measure that was based on the

1 DSST and RAVLT. This composite score was weighted.  
2 Half of it was the DSST, and the remaining half was  
3 given in one-fourth and one-fourth the RAVLT  
4 learning and memory.

5 From the stats review of this composite  
6 Z-score, again, the FDA remained concerned about  
7 the clinical relevance of this composite Z-score as  
8 the primary endpoint using the DSST and about the  
9 calculation for the composite score, and whether or  
10 not the independent assumption required for  
11 statistical analysis was met.

12 The prespecified secondary endpoints that  
13 we've been discussing today, most importantly the  
14 DSST, for this study showed differences from  
15 placebo at week 8, 4.20, in favor of vortioxetine,  
16 10 milligrams a day, and 4.26 in favor of  
17 vortioxetine 20. For the RAVLT, the learning  
18 scores were not significantly different from  
19 placebo for either of the group. And then, thus,  
20 based on their prespecified protocol, the testing  
21 hierarchy stopped.

22 This slide shows the additional secondary

1 endpoints that were used in both studies, except  
2 for the one-back task, which was used in study 202  
3 that we'll be discussing next. This slide does  
4 illustrate the cognitive domains that do overlap  
5 somewhat with the DSST in terms of attention,  
6 speed, processing, and executive functioning.

7 For the neuropsychological test, in this  
8 study, you can see the difference from placebo at  
9 week 8 in the two treatment groups. This table  
10 shows statistical analysis, and endpoints were  
11 considered exploratory and were not incorporated  
12 into controlling for the overall type 1 error rate.  
13 Nevertheless, they do seem to suggest the  
14 superiority of vortioxetine in many of the  
15 measures.

16 The LS mean results I should point out for  
17 the Trailmaking Test A and B, those are in seconds  
18 as well as the Stroop, congruent and incongruent.  
19 And the SRT and CRT, those are in milliseconds, as  
20 a point of reference.

21 The additional secondary endpoints included  
22 were subjective and based on self-report. This

1 slides summarizes, again, that the results seem to  
2 suggest the superiority of vortioxetine at a  
3 nominal significance level of 0.05.

4 Study 202, which has been called CONNECT all  
5 day, and we can use those terms interchangeably,  
6 this was a second pivotal study that was included  
7 in this application. It was also a multicenter  
8 randomized double-blind placebo and now  
9 active-controlled, parallel group, flexible dose  
10 study. So this study included duloxetine as a  
11 reference at 60 milligrams a day, and then 2  
12 treatment arms of vortioxetine, 10 and 20.

13 Again, this study had 602 patients, about  
14 the same number as the previous study. And this  
15 study design schematic illustrates this randomized  
16 double-blind placebo and active-controlled parallel  
17 group flexible dose study CONNECT, with the primary  
18 objective of assessing the effect of vortioxetine  
19 versus placebo on cognitive dysfunction in a  
20 population of patients with self-reported cognitive  
21 dysfunction. And as you can see from this slide,  
22 there was also a 1-week lead in for vortioxetine

1 10 milligrams in both of the vortioxetine treatment  
2 groups before going up to 20 milligrams.

3 The primary endpoint in CONNECT was not a  
4 Z-score. This study used the primary endpoint as  
5 just the DSST, the number of correct, from baseline  
6 to week 8. The LS mean difference versus placebo  
7 was 1.75 in favor of vortioxetine. And the LS mean  
8 difference between the duloxetine and placebo  
9 groups was 1.21.

10 The prespecified secondary endpoints, there  
11 were two, PDQ and CGI-I, respectively. And the  
12 results were both of those endpoints were  
13 statistically significant. And of note, the  
14 testing hierarchy applied only to the vortioxetine  
15 group.

16 The other secondary endpoints in CONNECT  
17 included, as we discussed before, the cognitive  
18 tasks from the other study in addition to the  
19 one-back task. In the vortioxetine versus placebo  
20 group in this study, only the Trailmaking Test B  
21 was better than placebo, and no comparisons reached  
22 nominal significance for duloxetine versus placebo.



1           On this slide, this illustrates that the  
2 other secondary endpoints of self-report in CONNECT  
3 did show that even though vortioxetine was  
4 numerically better on DSST, the duloxetine was  
5 similar or numerically better than vortioxetine  
6 versus placebo.

7           Again, this study did explore the question,  
8 can subjective assessments of cognitive dysfunction  
9 be used to reliably monitor improvement in  
10 cognitive function in patients with major  
11 depressive disorder, and on both the PDQ total  
12 scores, both duloxetine and vortioxetine were  
13 better than placebo.

14           This slide discusses the other secondary  
15 endpoints on CONNECT. The UPSA is a the five  
16 domain skills assessment asking about household  
17 chores, communication, finance, transportation,  
18 planning, recreational activities. Each domain's  
19 scales range from zero to 20 points across with a  
20 total of points of zero to 100. On the review of  
21 this, it was not clear what a 3-point difference on  
22 a 100-point scale meant clinically.

1           Of note, on the working limitation  
2 questionnaire, there was only one subscale that  
3 moved in the CONNECT study. Also of note, only the  
4 vortioxetine was significantly different from  
5 placebo in reducing the difficulty of time  
6 management. And neither vortioxetine nor  
7 duloxetine separated from placebo in the remaining  
8 four scales.

9           This slide looks at the DSST results from  
10 both of the studies and is a summary of them. It  
11 shows that the baseline scores were quite similar  
12 between 41 and 43 across the treatment groups.  
13 Although the DSST results were statistically  
14 significant in both studies, the magnitudes of the  
15 observed treatment effects were larger in the FOCUS  
16 study but not in the CONNECT study, where the  
17 difference from placebo in the vortioxetine arm was  
18 1.75.

19           In summary, there were three positive  
20 results for vortioxetine; initially, the ELDERLY  
21 trial that was first submitted in the original NDA  
22 in exploratory, and in the two pivotal trials that

1 were submitted in this application, CONNECT and  
2 FOCUS, were both positive.

3 There was a greater magnitude of DSST change  
4 observed in the FOCUS trial as compared to the  
5 CONNECT trial. The observed improvement on DSST at  
6 week 8 was better in the vortioxetine group than  
7 the duloxetine group in CONNECT. And CONNECT also  
8 did include functional measures. Although they  
9 were not prespecified, the results do seem to  
10 suggest superiority of vortioxetine versus placebo.  
11 That will conclude my presentation.

### 12 Clarifying Questions

13 DR. PICKAR: Thank you very much. We're  
14 open now to clarification questions, so please  
15 raise your hand. Let's talk to the FDA folks and  
16 get some clarification on the presentations. The  
17 floor is open. Dr. Dickinson?

18 DR. DICKINSON: So I'm having trouble  
19 conceptualizing this in the absence of something  
20 specific that we are supposed to be judging as to  
21 what the label might actually say. I know that is  
22 a subject that would need to be negotiated with the

1 company if it went that way, but it's a little  
2 bit -- so there are a variety of issues that have  
3 been touched on. Among other things, there were  
4 some limitations in these studies in terms of who  
5 was allowed into the studies.

6           Would those be things that were attached to  
7 this labeling? There's a question about whether  
8 there was cognitive impairment at the beginning of  
9 the trial? And even getting past those kind of  
10 entry issues, what would one say about some  
11 improvement on the DSST? What would be the  
12 language that we would be asked to consider as an  
13 addition to the label?

14           DR. FARCHIONE: I think that with regards to  
15 that, again, like you said, a lot of this is going  
16 to depend on negotiations with the company if we  
17 choose to take an approval action on this  
18 application. But in terms of general principles  
19 when we approach labeling, we want to be able to  
20 describe the population that was included in this  
21 study so that that way, you can have some idea of  
22 whether or not -- if you're a clinician reading the

1 label, you can have some idea of whether or not  
2 your patient that's sitting in front of you is  
3 similar enough to the people who were in that study  
4 that you might expect to see a similar level of  
5 effect.

6 We would also include a brief description of  
7 the endpoint that was used. So in this case, we  
8 would have to probably come up with some one or  
9 two-sentence pithy explanation of this is the DSST,  
10 and it measures X, Y, and Z, or just X, whatever we  
11 decide in regards to that. If it does just land in  
12 that clinical study's section, then the only thing  
13 it's going to do is say here are the patients that  
14 were included in the trial.

15 This is what the endpoint was. This is what  
16 the outcome was, and not really offer an  
17 interpretation of that. That's up to the person  
18 reading it to make that judgment.

19 DR. PICKAR: It's a little tricky. The last  
20 slide, Dr. Chen's presentation, there's no  
21 definitive answer to what DSST actually measures in  
22 patients with MDD, and there's no empirically-based

1 threshold for the change that has meaningful in  
2 functionality. That last slide is a pretty  
3 meaningful slide. Could somebody -- anybody  
4 comment on that? It's hard to move ahead when you  
5 see something like that.

6 DR. FARCHIONE: Well --

7 DR. PICKAR: That's slide number 12 from  
8 Dr. Chen's presentation.

9 DR. FARCHIONE: Yes. I think that part of  
10 the reason, in terms of what DSST actually measures  
11 in patients with MDD, is that it hasn't been  
12 standardized in that population. So if we're  
13 going -- we have some idea of what it might measure  
14 generally in people without depression, but can we  
15 generalize that to this population? We just don't  
16 have a definitive answer, but we have some general  
17 ideas.

18 DR. PICKAR: Well, let me ask the  
19 neuropsychologists. It would seem to me that you  
20 can. If it has a pretty specific -- it is what it  
21 is, and it may be global and overly sensitive and  
22 less specific. So we would assume that there's

1 cognitive dysfunction related to that measure in  
2 MDD.

3 Is that a correct statement or am I  
4 just -- I'd like to get something more definitive,  
5 more positive than that statement.

6 DR. HINKIN: Yes. I mean, it's definitely  
7 measuring cognition in patients with MDD as it  
8 would in others. There may be some slight factor  
9 loading changes compared to normals or another  
10 population. But for the most part, I think it's  
11 reasonable to conclude that it is measuring the  
12 same basic cognitive constructs in depression,  
13 depressed folks versus non-depressed.

14 DR. PICKAR: Dr. Grieger?

15 DR. GRIEGER: It seems to almost draw too  
16 much attention to it. I'm a little confused  
17 because we put all kinds of side effects in, and we  
18 just say, here's your list of things that could  
19 happen. And why we would draw attention to the  
20 specifics of a particular -- I kind of like what  
21 the industry put up, which was it has shown to work  
22 on this test, period, and this test probably

1       measures these things.

2               I wouldn't go any further than that. I was  
3       kind of looking for that in their package. I  
4       didn't see that, but I think the first slide they  
5       put up today was very straightforward. It says  
6       exactly what it does, like 2,000 patients took this  
7       drug, and their HAMD scores improved by 10 points,  
8       whatever.

9               DR. PICKAR: Dr. Conley?

10              DR. CONLEY: So yes. I'd like to go back.  
11       I also was wondering about the statistical measures  
12       or the presentation, and it was slide 12 in that.  
13       It seemed to be much more a judgment base about  
14       there isn't a threshold. My worry about that, that  
15       is from an industry standpoint, is that it's like  
16       almost the first mover syndrome. It goes back to  
17       what we said before. We don't know what works in  
18       this area, so of course there isn't an empirically  
19       validated scale. There couldn't be until something  
20       actually works. So it just seems like a tautology.

21              So that's a real concern that I have here.  
22       And I would expect in the statistical section, you



1 mentioned that you thought the secondary measure  
2 seemed to go along with the primary measure, the  
3 analysis working okay. That all makes sense. It's  
4 not like it was all bad. But there isn't an  
5 empirically-based threshold. I guess I'm just  
6 wondering what on earth; of course, there isn't.

7 DR. TEMPLE: Empirically-based threshold for  
8 what's a meaningful change, you mean?

9 DR. CONLEY: Well, for what meaningful  
10 change is in this specific condition of depression  
11 I guess is what the analysis --

12 DR. TEMPLE: Yes. I don't want to be too  
13 repetitious, but it's not easy to say what that is.

14 DR. CONLEY: Right.

15 DR. TEMPLE: Also, it seems worth reminding  
16 everybody that the mean is not the full explanation  
17 of what's going on, and it's very hard to know if  
18 you have a range of responses that go from 2 to 10.  
19 How do you decide?

20 DR. CONLEY: So what would have helped on  
21 that --

22 DR. TEMPLE: Dr. Papadopoulos is here.

1 She's worries about that all the time. But it's  
2 really murderously difficult to do, and I don't  
3 think we do it very often in a way that anybody  
4 would say definitive. You can ask patients.  
5 That's one of the things that are done now. You  
6 incorporate that kind of question into a PRO, say,  
7 do you really feel better, all of those. That's  
8 got a distribution, too.

9 DR. CONLEY: I think you're right. And I  
10 think, actually, you asked the sponsor a couple of  
11 questions that I thought were very good ones, like  
12 a responder analysis. And they had I think two out  
13 of three answers or something like that.

14 So there were some other ways of probing it.  
15 But again, I'm talking about the field in some ways  
16 more than this particular drug. But I'm just  
17 worried as you're trying to be innovative that  
18 you've got to be careful about not saying there  
19 isn't this evidence-based standard before there's  
20 evidence.

21 DR. FARCHIONE: Well, I agree in a lot of  
22 ways. And I think that the whole point is that, if

1 we want to point out the kinds of limitations that  
2 we're dealing with in terms of defining a new  
3 claim, there are a lot of -- if we want to put  
4 something new in a label that's never been in there  
5 before, I think the bar necessarily has to be  
6 fairly high but it shouldn't be insurmountable.

7 The idea that there might not be an  
8 empirical threshold yet doesn't mean that we can't  
9 look at it and say, well, maybe we can match this  
10 up and try to figure out what degree of change on  
11 the DSST correlates with what degree of change on  
12 the CGI, or somehow anchor it in some way. It's  
13 not an insurmountable issue to deal with. It's  
14 just something we have on our plate.

15 DR. CONLEY: Yes. Just a last comment to  
16 come back. What you're saying makes sense. I  
17 mean, to me, that's better than saying there is no  
18 threshold, therefore we can't make it. And you  
19 were asking questions about that. That's more  
20 reasonable.

21 DR. FARCHIONE: It's not insurmountable.

22 DR. PICKAR: Dr. Stein?

1 DR. STEIN: Just a question for  
2 Dr. Gaymon-Doomes. I just wanted to clarify or ask  
3 you to clarify something in your last slide. Could  
4 you put up your last slide from your presentation?

5 MS. BHATT: What's the slide number?

6 DR. STEIN: It's 22. So the third point,  
7 observed improvement on the DSST was better in the  
8 vortioxetine group than the duloxetine group in  
9 CONNECT. Significantly better, numerically better?  
10 Just go back to slide 21 because they don't look  
11 different to me.

12 DR. GAYMON-DOOMES: Numerically.

13 DR. STEIN: Right. So one has got a change  
14 from baseline of 4.6 and the other's 4.06. And I  
15 don't see a statistical test there.

16 DR. TEMPLE: That's an important question.  
17 You cannot conclude from this that it's better.  
18 You know that one won and the other didn't.

19 DR. STEIN: Okay.

20 DR. TEMPLE: That's not the same thing.

21 DR. STEIN: The third point on the last  
22 slide is not correct the way it's written?

1 DR. GAYMON-DOOMES: It is, but it's  
2 numerically.

3 DR. STEIN: Okay. Thanks.

4 DR. PICKAR: Dr. Hinkin, did you have -- no.  
5 Other questions? Any comments from the FDA,  
6 the team?

7 (No response.)

8 DR. PICKAR: Thank you very much for the  
9 presentation.

10 **Open Public Hearing**

11 DR. PICKAR: We're now moving to the open  
12 public hearing portion of today's meeting. Both  
13 the Food and Drug Administration and the public  
14 believe in a transparent process for  
15 information-gathering and decision-making. To  
16 ensure such transparency at the open public hearing  
17 session of the advisory committee meeting, FDA  
18 believes that it is important to understand the  
19 context of an individual's presentation.

20 For this reason, FDA encourages you, the  
21 open public hearing speaker, at the beginning of  
22 your written or oral statement, to advise the

1 committee of any financial relationships that you  
2 may have with the sponsor, its product, and if  
3 known, its direct competitors. For example, this  
4 financial information may include the sponsor's  
5 payment of your travel, lodging, or other expenses  
6 in connection with your attendance at the meeting.

7 Likewise, FDA encourages you at the  
8 beginning of your statement to advise the committee  
9 if you do not have such financial relationships.

10 If you choose not to address this issue of  
11 financial relationships at the beginning of your  
12 statement, it will not preclude you from speaking.

13 The FDA and this committee place great  
14 importance in the open public hearing process. The  
15 insights and comments provided can help the agency  
16 and this committee in their consideration of the  
17 issues before them. That said, in many instances  
18 and for many topics, there will be a variety of  
19 opinions. One of our goals today is for this open  
20 public hearing to be conducted in a fair and open  
21 way, where every participant is listened to  
22 carefully and treated with dignity, courtesy, and

1 respect. Therefore, please speak only when  
2 recognized by the chairperson, and I thank you for  
3 your cooperation.

4 We shall begin with speaker number 1.

5 DR. MATTINGLY: Good afternoon. I'm Greg  
6 Mattingly. I'm a psychiatrist. I come to you from  
7 St. Louis, Missouri. In St. Louis, I teach the  
8 pharmacology classes for the medical students at  
9 Washington University. I'm the course master.  
10 I've been in clinical practice for 23 years. I  
11 spend one-third of my time doing clinical trials,  
12 where I've done over 200 clinical trials as a  
13 principal investigator.

14 I have conflicts of interest with pretty  
15 much every pharmaceutical company within this room.  
16 I've been a principal investigator for Lundbeck and  
17 for Takeda. I was part of the phase 2 trials for  
18 Brintellix and serve on advisory boards for most of  
19 the pharmaceutical companies.

20 Most importantly, I spend two-thirds of my  
21 time every day in a very busy clinical practice.  
22 I've been taking care of patients for the last two

1 decades struggling with major depression who have  
2 residual symptoms that don't improve with our  
3 current treatments. Every one in this room has  
4 taken care of patients with major depression who  
5 have cognitive symptoms that have not improved with  
6 our current treatment options.

7 Dr. Ionescu's questions about what have you  
8 tried with your patients, I'm going to present  
9 three patients very quickly in my limited amount of  
10 time. One is a young man named Joshua. Joshua  
11 provided written testimony for your group today.  
12 He was going to be here with us, but he's taking  
13 his college tests in his senior year today.

14 Joshua came to see me at age 16 after having  
15 two major episodes of depression, had a tested IQ  
16 of 160, but was failing his high school classes  
17 because he was struggling with bad depression. In  
18 between episodes of depression, Joshua still had  
19 cognitive symptoms. I tried him on every cognitive  
20 enhancer you could think of: low-dose  
21 psychostimulants, Modafinil, augmentation with  
22 thyroid, augmentation with atypicals.



1           Joshua finally got fed up with taking  
2 antidepressants and about two years ago came back  
3 to see me as he had just failed junior college. At  
4 that point, I talked Joshua into trying  
5 vortioxetine. Two years later, Joshua is now on  
6 the dean's list. He's going to graduate from  
7 college, and he just had a full-time employment  
8 opportunity working as a CAD CAM programmer over  
9 this next summer. Joshua, you will see in his  
10 written testimony to you, will say the single most  
11 important episode of vortioxetine, it has improved  
12 his cognitive symptoms in a way that nothing else  
13 has.

14           My second patient is a young man named Mike.  
15 Mike came to see me a little over a month ago. He  
16 was taking vilazodone. Mood symptoms were better,  
17 anxiety symptoms were better, but he couldn't  
18 focus. Cognition was bad. He had no premorbid  
19 history of ADHD, no premorbid history of cognitive  
20 issues before depression began taking hold of his  
21 life. I changed him to vortioxetine 10 milligrams  
22 a month ago, and he's now doing dramatically better

1 with regards to cognition.

2 My last patient is 62-year-old physician,  
3 recurrent mood disorders that I've taken care of  
4 for the past 10 years. She was thinking about  
5 going on part-time disability because of cognitive  
6 challenges associated with her depression. Since  
7 being on vortioxetine at 20 milligrams, she  
8 continues to work. She continues to work in a very  
9 active pediatric practice and is very happy with  
10 her life.

11 Thank you for this testimony.

12 DR. PICKAR: Thank you very much. Speaker  
13 number 2.

14 MR. BARTHOLOMEW: Good afternoon. My name  
15 is Ray Bartholomew, and I'm here to share my  
16 experience using Brintellix for treatment of MDD.  
17 I'd like to thank Takeda Lundbeck for providing  
18 transportation, meals, and lodging, which made it  
19 possible for me to be here today.

20 I'm 67 years old, live in Gansevoort, New  
21 York. Ten years ago, I retired from my career as a  
22 manufacturing team leader at General Electric for

1 39 years. Since then, I've been active in various  
2 volunteer administrative positions in my community.

3 Through most of my adult life, I've battled  
4 with major depressive disorder and have been  
5 prescribed various medications for treatment. For  
6 the past two years, I've been taking 20 milligrams  
7 of Brintellix once daily.

8 Prior to that, I'd been prescribed Cymbalta,  
9 which worked rather well for many years. However,  
10 over a period of time, I started experiencing  
11 troubles expressing myself verbally. I just  
12 couldn't find the right words. I often felt  
13 confused and struggled with organizing daily  
14 activities, handling our finances, and reading  
15 comprehension, having to reread things several  
16 times in order to understand. I also was  
17 experiencing extreme brain fog.

18 My wife bought me a white board to help me  
19 with planning and remembering steps for day-to-day  
20 appointments and activities. However, as time went  
21 on, these symptoms worsened to the point where I  
22 was no longer able to serve in the various ministry

1 and volunteer activities I had been involved with  
2 since my retirement. I was feeling frustrated and  
3 overwhelmed. I was basically homebound for around  
4 a year, relying on my wife to help me in making  
5 decisions.

6 My wife was very concerned that I might have  
7 had a stroke, so we went to a neurologist, who had  
8 testing done to rule out things such as Parkinson's  
9 or Alzheimer's. The test results revealed that I  
10 had some serious cognitive impairment. It was  
11 frightening time for both of us.

12 After ruling out all those causes, my  
13 primary care physician advised me that Brintellix  
14 may help relieve some of the symptoms that I was  
15 experiencing. I made the switch from Cymbalta to  
16 Brintellix. After around six weeks, I started to  
17 notice a marked increase in my ability to mentally  
18 process information. The brain fog was clearing.  
19 My ability to process information improved  
20 dramatically, and I was able to make good, sound  
21 financial decisions again.

22 Day-to-day decisions were no longer an

1 issue. Reading comprehension improved, and I  
2 returned to the voluntary activities that I was so  
3 passionate about. Currently, I'm serving as a  
4 leader in the recovery program that I helped  
5 establish called The Landing. We help youths in  
6 the community that are having difficulty with  
7 family, and peer-to-peer relationships and with  
8 school, and with sound decision-making, and I'm  
9 feeling thankful for being part of that team.

10 Brintellix has made a great difference in  
11 the quality of my life, and I'm here today hoping  
12 that others may experience the same results. Thank  
13 you for the opportunity for letting me share my  
14 story with you.

15 DR. PICKAR: Thank you very much sharing it.  
16 We appreciate it. Speaker number 3 was not able to  
17 be here, so we're moving to speaker number 4.

18 MR. BARTLEY: Good afternoon. My name is  
19 David Bartley, and I'm here today to talk about my  
20 experience with Brintellix. The sponsor has  
21 covered my travel and hotel, but no financial  
22 interest in the company is had by me.

1           On August 31, 2011, I was admitted as a  
2 patient in a psychiatric hospital, and I was there  
3 because I had answered yes to two questions posed  
4 to me by the psychiatrist in the emergency room:  
5 Did I intend to harm myself, and did I have a plan?  
6 When people found out I was in the hospital, they  
7 were shocked because at the time, I was running a  
8 nationally recognized end-of-life animal sanctuary.

9           On June 2, 2010, the sanctuary was featured  
10 as the cover story in the life section of USA  
11 Today, but I was a good actor, and I hid my illness  
12 from just about everybody. But the plan was an  
13 effort to relieve me of the pain and long suffering  
14 of MDD. When I got out of the hospital, where I  
15 stayed for 14 days, I realized that I had to focus  
16 on my own care, so the animals were placed in other  
17 facilities and the sanctuary closed.

18           That commitment to self-care has continued  
19 to this day. My life is all about taking care of  
20 myself, adequate rest, proper nutrition, exercise,  
21 seeing my therapist, involvement in a depression  
22 support group, and for me the right medication,

1       which is 20 milligrams of Brintellix. My health,  
2       my life now is about serving other people and going  
3       out into the community to reduce stigma and  
4       encourage those to get help that they need.

5               In regards to medication, when I was in the  
6       hospital, I continued Zoloft that I had had for  
7       20 years, and my medication was increased to 200  
8       milligrams. But soon after, I had continued down a  
9       recurrent path of major depression, and I went in  
10      to see my primary care doctor, who switched me to  
11      Brintellix, and the relief was almost immediate.

12             But in addition to the mood lift that I had  
13      hoped for, I got what I called cognitive juice, a  
14      bump in my ability to process information, and it  
15      was extraordinary. Previous to Brintellix, my  
16      thought process could be described as delayed, a  
17      sort of mental hesitation, very much like the  
18      built-in delay in a radio talk show. It was almost  
19      as if there was a governor that regulated the speed  
20      of my thoughts. But now that governor has been  
21      lifted, and my mind operates. It hums in a very  
22      positive way.

1           To give you a more tangible example, I'd  
2 like to give you a real-life example. When the  
3 sanctuary closed, I went back to work as a loan  
4 officer, and the world of real estate and finance,  
5 as many of you know, is complex and confusing. The  
6 reliance on memory, problem-solving, and processing  
7 is extraordinary. And added to that is the need to  
8 have a working knowledge of a vast number of  
9 regulations and guidelines.

10           When I went back to work, I was classified  
11 as an adequate loan officer, but I've been able to  
12 move along the continuum to be an exemplary loan  
13 officer, so much so that in April, I'll be enjoying  
14 an all-expense paid trip to Hawaii, courtesy of the  
15 mortgage company that I work for. That experience  
16 has allowed my mind to match my experience, and I  
17 have a chance to view myself differently, capable  
18 and worthy. And that is an extraordinary  
19 experience. Thank you so much.

20           DR. PICKAR: Thank you so much. Speaker  
21 number 5.

22           MR. DOEDERLEIN: Thank you, and good



1       afternoon. I am Allen Doederlein, president of the  
2       Depression and Bipolar Support Alliance, or DBSA.  
3       DBSA does receive grant support from Takeda,  
4       however, my presence here today was covered by DBSA  
5       unrelated to that grant support.

6               We at DBSA hold that the end goal of  
7       treatment should be total wellness. Of course,  
8       this idea is empowering and hopeful, but there are  
9       also real and significant clinical imperatives to  
10      hold this goal as the endpoint of treatment.  
11      Residual symptoms can lead to higher rates of  
12      relapse in major depressive disorder, longer and  
13      more severe courses of illness, more co-occurring  
14      conditions, and a higher risk of suicide.

15              So any unmet needs in terms of residual  
16      symptoms or symptoms of major depressive disorder  
17      are of great concern. But cognitive dysfunction is  
18      especially concerning, to the extent that it is not  
19      specifically addressed by many clinicians or  
20      understood as an aspect of depression by most  
21      people who live with it. Moreover, cognitive  
22      impairment can stand in the way of activities that

1 are important to many people's definitions of  
2 wellness, including communication and meaningful  
3 work.

4 I'm here today not only on behalf of DBSA,  
5 but to represent my own personal lived experience  
6 of major depressive disorder and the cognitive  
7 impairment associated with it. In college when I  
8 was first diagnosed with depression, I, who had  
9 always felt smart and done well in school, suddenly  
10 felt incapable of the kind of study and achievement  
11 I'd been used to.

12 In my first professional job when I  
13 experienced depression, I feared being caught as a  
14 fraud who couldn't actually fulfill on the duties  
15 he was assigned. I worked twice as long and  
16 achieved half as much because I felt like I was  
17 constantly in a fog, and the distance between  
18 thought and action was an ever-widening chasm.

19 Time and time again, I'd find that my focus,  
20 memory, decisiveness, ability to order tasks,  
21 follow through, problem solving, ability to follow  
22 conversations, and my activation all suffered

1       impairing my work, my relationships, and my self-  
2       esteem. These impairments fed the hopelessness and  
3       feelings of guilt and worthlessness that were part  
4       of my depression, so I often felt like I was  
5       trapped and sinking in quicksand. Once I learned  
6       that cognitive impairment is associated with major  
7       depressive disorder, my abilities to recognize  
8       depression earlier and work with my doctor on  
9       better treatment both improved.

10               Stories of such cognitive impairment are not  
11       unique to me. We hear from hundreds of DBSA  
12       members who report similar experiences of cognitive  
13       dysfunction, and it is real and debilitating. We  
14       at DBSA offer that to recognize cognitive  
15       dysfunction in major depressive disorder as a  
16       distinct suite of issues with real unmet need in  
17       clinical focus and understanding will open the door  
18       to needed patient education, better treatment, and  
19       better thriving lives for people like me who  
20       experience major depressive disorder. Thank you.

21               DR. PICKAR: Thank you very much. Speaker  
22       number 6.

1 DR. NORTH: Hello. My name is Dr. James  
2 North, and I'm a board certified family practice  
3 physician. I practice full-time in an outpatient  
4 and hospital setting in upstate New York as a  
5 member of a large group practice. I also work very  
6 part-time as a paid consultant and advisor for  
7 several pharmaceutical companies, including Takeda  
8 Lundbeck. Takeda Lundbeck is covering my expenses  
9 for being here today, but I'm not being compensated  
10 for my time.

11 It's an honor to have this opportunity to  
12 speak to you today because I am passionate about  
13 this label change for Brintellix. It's an addition  
14 that has made such a big difference, such a life-  
15 changing difference for my patients. I have  
16 several dozen patients who've had really  
17 transformative experiences with Brintellix,  
18 including Ray, who spoke to us just a few moments  
19 ago.

20 Now, throughout the day, you've seen lots of  
21 data surrounding cognition in depression. However,  
22 studies look at patients in aggregate, and I as a

1       clinician treat patients as individuals. I'm  
2       charged with treating those patients, and this  
3       residual cognition issue is out there, it's real,  
4       and I see it over and over again in a clinically  
5       relevant way. And I've seen Brintellix make a  
6       difference with these patients.

7               When I think about cognition in depression  
8       out there, I don't do a Digit Symbol Substitution  
9       test. My 50-year-old diabetic was struggling with  
10      four fluctuating blood sugar readings throughout a  
11      day, three mealtime insulin dose coverages, and  
12      another bedtime dose of insulin. So she was  
13      looking at all kinds of numbers. Throughout the  
14      day, she was not controlling her diabetes well.  
15      When I switched her antidepressant to Brintellix,  
16      her diabetic control improved.

17              When I think about depression and cognition  
18      out there, I don't need to do a Trailmaking B Test.  
19      I'm already asking patients to make important  
20      decisions about their health care. I had a  
21      depressed 72-year-old widow who was struggling with  
22      severe osteoarthritis and was slowly losing her

1 independence. She was becoming wheelchair bound  
2 because she was paralyzed with indecision about  
3 whether or not to undergo surgery. When I switched  
4 her antidepressant to Brintellix, she now had the  
5 means to say, yes, I'm willing to have that surgery  
6 despite my age.

7           Then there's Ray who spoke to us so  
8 eloquently a few minutes ago. Before Brintellix,  
9 without Brintellix, Ray would not have been able to  
10 make this trip, let alone give you the presentation  
11 that he did. Despite an adequate trial of several  
12 antidepressants, Ray was cognitively impaired. He  
13 had withdrawn from his family, from his social  
14 life, from his church, and from his life in  
15 general.

16           Brintellix gave Ray his life back. As  
17 Dr. Fava suggested, if I hadn't known that  
18 Brintellix had the potential ability to make a  
19 difference for Ray, I might not have had the  
20 opportunity to have him try it, and that would have  
21 been a tragedy.

22           When you vote this afternoon about this

1 label addition, I want you to think about Ray.  
2 Cognition in depression is more than just a metric.  
3 It's more than just a number. It's a suffering  
4 person with a life they want to get back. Thank  
5 you for listening to me about my real-world  
6 experiences with Brintellix. And thank you  
7 especially, Ray, for trusting me and finding me.  
8 Thank you.

9 DR. PICKAR: Thank you so very much.  
10 Speaker number 7.

11 DR. FOX-RAWLINGS: Thank you for the  
12 opportunity to speak today. My name is  
13 Dr. Stephanie Fox-Rawlings. I was previously a  
14 neuroscientist at the Children's National Medical  
15 Center, and I'm now a senior fellow at the National  
16 Center for Health Research. Our research center  
17 analyzes scientific and medical data to provide  
18 objective health information to patients,  
19 providers, and policymakers. We do not accept  
20 funding from the drug or medical device industry,  
21 and I have no conflicts of interest.

22 We want effective treatments to reach

1 patients as quickly as possible. The cognitive  
2 dysfunction symptoms of MDD greatly contribute to  
3 the difficulties patients face, and most of the  
4 treatments show little improvement on cognitive  
5 tests. Patients want treatment for these symptoms,  
6 but need to know that the drug marketed to do so is  
7 actually effective.

8 The data today suggests that vortioxetine  
9 may ameliorate some cognitive symptoms, however,  
10 more evidence is required. In the FOCUS study, the  
11 main test that it demonstrated improvement was the  
12 DSST, which is under debate to determine if it  
13 provides a meaningful measure of diverse domains of  
14 cognitive function. If this test is not a  
15 comprehensive measure of cognitive function, then  
16 we can rely on the data from the CONNECT study.

17 The improved scores on the tests in this  
18 study are encouraging, but they need to be  
19 replicated. Thus, this would not provide enough  
20 data to fill the statutory requirements to state  
21 that the drug is effective. More research is  
22 necessary. The cognitive deficits experienced by



1 patients need to be fully described. The cognitive  
2 tasks improved by this drug must be clarified, and  
3 the extent to which treatment influences daily  
4 function must be determined. To this last point, a  
5 treatment can statistically improve a test score  
6 without producing a meaningful improvement in daily  
7 life.

8           There are also concerns about the lack of  
9 diversity of participants included in the pivotal  
10 studies. There's inadequate racial diversity.  
11 Although both studies were conducted in multiple  
12 countries, more than 85 percent of the participants  
13 were white. Thus, there are not enough patients to  
14 conduct meaningful subgroup analysis to determine  
15 the impact of the drug on people of color.

16           The age participants is also a concern.  
17 Cognitive dysfunction is common in elderly and BD  
18 patients. The sponsors even state that their  
19 investigation followed up on the results of a  
20 clinical trial in elderly patients. However, the  
21 age cutoff for both pivotal studies was 65 years.  
22 Furthermore, subgroup analysis by age only showed

1 improvement in DSST scores and in only one of the  
2 two studies. No subgroup analysis were conducted  
3 for any of the other cognitive tests.

4           Lastly, the time frame for both of these  
5 studies was 8 weeks. Cognitive dysfunction can  
6 continue to be a problem after a depressive episode  
7 is resolved, and depressive episodes last much  
8 longer than 8 weeks. It is important to know  
9 whether any improvements would be maintained over a  
10 longer term of at least a few months.

11           In conclusion, based on the data presented  
12 and discussed today, I urge you to conclude that  
13 there's insufficient data to claim that  
14 vortioxetine is effective in providing a meaningful  
15 improvement in cognitive dysfunction associated  
16 with MDD. Thank you for the opportunity to speak  
17 today and for consideration of our views.

18           DR. PICKAR: Thank you very much. Speaker  
19 number 8, please.

20           MR. DOLAN-DEL VECCHIO: Good afternoon. My  
21 name is Ken Dolan-Del Vecchio. I'm a vice  
22 president in the health and wellness organization

1 at Prudential, and specifically, I'm responsible  
2 for all of the behavioral health programs and  
3 services for our 20,000 domestic employees. Part  
4 of my responsibility that I want to talk about  
5 today is the leading of our team of counselors who  
6 work with employees, many of whom, as you would  
7 guess, struggle with clinical depression. And I'm  
8 hopeful in this conversation today because we're  
9 addressing this issue of cognitive.

10 I am not in any way being paid by Takeda.  
11 They have paid for my transportation and my  
12 lodging, and that's it. I don't stand to gain from  
13 their stock price.

14 When I think about this issue, I think about  
15 how there's a complex mix of problems that come  
16 with clinical depression. There's the mood  
17 problems. There's the resiliency life activity  
18 problems of sleeping and eating. And then there's  
19 the cognitive problems.

20 The cognitive problems are the ones that  
21 define, so many times, the threshold that determine  
22 whether an individual, a working person who lives

1 with depression, is going to be able to keep  
2 working.

3           For example, at our company, we may have a  
4 customer service representative who absolutely when  
5 they're doing well knows all that they need to know  
6 in order to answer the questions of the people who  
7 call them. But when they're having the cognitive  
8 difficulties that define this part of a depression  
9 problem, they might not even remember the call.  
10 They might not remember what the person's asking.  
11 And then they're struggling to find the  
12 information.

13           If a claims manager is working through a  
14 claim, they might not be able to hold their train  
15 of thought, the train of the narrative that they're  
16 reading. And if a communications professional in  
17 our organization is trying to exercise their usual  
18 creativity and pull together the language that's  
19 going to be engaging in the piece that they're  
20 writing, all of that may have deserted them.

21           So when these essential functions are no  
22 longer functioning, this person is moving towards

1 disability. And we know that disability -- in  
2 fact, I saw a World Health Organization report  
3 stating that depression is the primary cause of  
4 disability worldwide. And when a person faces  
5 disability, they lose so much more. There's a  
6 downward spiral in their functioning.

7           So I'm hopeful that a medication like this  
8 might contribute to an upward spiral, might  
9 contribute to the possibility of the person holding  
10 on to those functions that are going to allow them  
11 to do what they need to do to feel better. Thank  
12 you.

13           DR. PICKAR: Thank you very much.

14           The open public hearing portion of this  
15 meeting has now concluded. I thank each of you for  
16 speaking with us. It's very important. We will no  
17 longer take comments from the audience. The  
18 committee will now turn its attention to address  
19 the task at hand, the careful consideration of the  
20 data before the committee as well as the public  
21 comments.

22           Dr. Farchione will now provide us with a

1 charge to the committee.

2 **Charge to the Committee - Tiffany Farchione**

3 DR. FARCHIONE: In terms of just addressing  
4 what the committee is going to be doing this  
5 afternoon, this is a preview of the questions that  
6 we're going to be discussing and the one voting  
7 question that we have on our agenda.

8 The point of all of this, we have all of  
9 this discussion in advance to really talk about all  
10 of those unresolved review issues that I mentioned  
11 in my talk and that we discussed throughout the  
12 afternoon here in terms of how the applicant  
13 attempted to address them.

14 At the end of the day, the final question is  
15 just going to be to take a vote on whether or not  
16 you guys feel that substantial evidence has been  
17 presented by the applicant to support the claim of  
18 effectiveness for vortioxetine in the treatment of  
19 cognitive dysfunction.

20 So we'll run through each of those  
21 discussion points one by one and finish with the  
22 voting question.

1                   **Questions to the Committee and Discussion**

2                   DR. PICKAR: Thank you very much.

3                   We will now proceed with the questions to  
4 the committee and panel discussions. I'd like to  
5 remind public observers that while this meeting is  
6 indeed open for public observation, public  
7 attendees may not participate except at specific  
8 request of the panel.

9                   There's one item here where we will be  
10 voting, and we will be using an electronic voting  
11 system for this meeting. Once we begin the vote,  
12 the buttons will start flashing and will continue  
13 to flash even after you have entered your vote.  
14 Please press the button firmly that corresponds to  
15 your vote. If you are unsure of your vote or if  
16 you wish to change your vote, you may press the  
17 corresponding button until the vote is closed.

18                   After everybody has completed their vote,  
19 the vote will be locked in. The vote will then be  
20 displayed on the screen. You'll see it in process  
21 if you haven't done it before. The vote from the  
22 screen will be read by Ms. Bhatt, and after the

1 vote is read, we will go around the room and each  
2 individual who voted will state their name and vote  
3 into the record. You can also state the reason why  
4 you voted as you did if you want to. We will  
5 continue in the same manner to all questions that  
6 have been answered. In this case, there's only one  
7 voting issue.

8 Question number 1 is up there, and I think  
9 we're actually right on target, so let's go with  
10 it. Discuss whether the DSST is an adequate  
11 measure of cognitive function in MDD. Table open.  
12 After all this, what do people think?

13 DR. CONLEY: Well, I just kind of have a  
14 clarifying question to the question as it were. I  
15 thought that what the sponsor presented in  
16 this -- and again, more as a general thing -- was  
17 that they did a bunch of things to talk about what  
18 cognitive function is in their population. Whether  
19 it was adequate or not is a different question.  
20 But they were really using DSST as a measure of  
21 change.

22 So I'm just trying to make sure we know why



1 we're discussing function versus change. And it  
2 may be a subtle thing, but I think it's important  
3 for thinking about what we're trying to figure out.

4 DR. PICKAR: Let's be -- we can turn that  
5 question back to our friends at FDA. Is indeed  
6 cognitive function what you wish to say?

7 DR. FARCHIONE: Well, yes. The claim that  
8 the sponsor is pursuing has to do with improvement  
9 in cognitive dysfunction, so an improvement in  
10 cognitive functioning more generally. And you're  
11 right, there are a bunch of other measures. But  
12 this was the prespecified primary endpoint in one  
13 of the studies, and then it was part of the primary  
14 measure. In the other study, it was the first  
15 prespecified secondary measure in that study as  
16 well.

17 So because that was where the statistics  
18 were focused, that's why we're asking it as the  
19 focus of this question.

20 DR. PICKAR: Dr. Grieger?

21 DR. TEMPLE: Before you leave that, it was  
22 also the principle thing that they looked for a

1 change in, so it was -- right. They looked at  
2 other things, too, but this is about this one.

3 DR. GRIEGER: Well, I think the question as  
4 it's written right there, the answer would be no.  
5 It's not -- we wouldn't do a one instrument  
6 assessment of somebody's cognitive function in  
7 clinical work. Is it a measure of a change of some  
8 aspects of cognitive function?

9 I mean, we're not even sure exactly what it  
10 covers. It covers executive function. It covers  
11 eye-hand coordination. It includes a bunch of  
12 different facets of cognition. But it doesn't  
13 include all of them. It's not an intelligence  
14 marker. There are a number of things it doesn't  
15 capture.

16 So I would say the simple answer to that is  
17 no, but if it was reworded to say a measure in  
18 change of cognitive function as a result of  
19 treatment, then I would say probably.

20 DR. PICKAR: Dr. Stein?

21 DR. STEIN: Rather than answer this yes or  
22 no, I think I'd say that given the circumstances

1 where the company was, a priori, trying to pick  
2 probably a single primary cognitive measure, this  
3 to me seems like, even in retrospect, that it was a  
4 good choice. And I say that because there's a fair  
5 bit of data on the DSST. It's pretty clear that it  
6 taps into domains that are very relevant to major  
7 depression. It probably doesn't cover everything,  
8 but by the same token, it's also sufficiently broad  
9 that it does cover the domains that are probably  
10 going to change and it has been shown to change  
11 with treatment.

12           So I think it's actually quite good as a  
13 single measure.

14           DR. PICKAR: Other comments? Dr. Mathis?

15           DR. MATHIS: Perhaps we overthought this  
16 when we wrote the question, but we wrote it as an  
17 adequate measure of cognitive function in MDD, and  
18 we specifically made it a discussion question  
19 instead of a voting question to have this  
20 discussion.

21           DR. PICKAR: I think that's right on. Yes?

22           DR. COMPAGNI PORTIS: Well, I would say that

1 I think it's an incomplete measure. And back to  
2 the conversations we had before, that there are a  
3 number of things that it doesn't take into account,  
4 whether that's processing speed -- I know that  
5 "adequate" word is hard. But I don't think it's an  
6 effective enough measure of what we want to look at  
7 here to make the kind of claim that the sponsors  
8 are wanting us to agree with.

9 DR. PICKAR: Dr. Portis, that's an important  
10 comment. Say it again, just so I have clarity.

11 DR. COMPAGNI PORTIS: I don't think that it  
12 is comprehensive enough to cover the kind of claim  
13 the sponsor wants to make, that based on this one  
14 measure, we can say that this drug is an adequate  
15 measure of cognitive function. And I think that it  
16 doesn't account for the other issues, such as  
17 processing speed and any other learning  
18 disabilities or any other prior issues that are  
19 preexisting issues.

20 DR. PICKAR: Raj, you're up?

21 DR. NARENDRAN: It seems -- I kind of feel  
22 split on this. On one hand, they picked this

1       measure, which taps into broad multiple domains,  
2       and they're using it -- they used it very  
3       successfully to demonstrate a pretty convergent  
4       data set that it changed. But on the other end, it  
5       seems like there's a lot of issues related to the  
6       unknown of the DSST, how well it relates to  
7       clinical outcome.

8               I thought your neurology consult that you  
9       guys got from the division was fabulous because I  
10       think -- and one of the things that they said is a  
11       pragmatic approach would be to probably allow them  
12       to include this data, but then acknowledge the  
13       ambivalence of what is unknown.

14              If you do that, I think it's probably  
15       reasonable to say -- but it's not really a hard and  
16       fast adequate measure per se, but I don't think  
17       they should be penalized for that because they did  
18       the best of what they could do. I was pretty  
19       impressed with that consultation the neurology  
20       people wrote.

21              DR. PICKAR: Dr. Farchione?

22              DR. FARCHIONE: I think, going back to

1 Mitch's point about how we may have overthought the  
2 question a little bit, perhaps -- and I don't know  
3 if I'm allowed to do this at this point. But like  
4 we were saying, the DSST, it was part of the  
5 primary in one. It was the sole primary in the  
6 other study.

7           There were a whole bunch of other tests that  
8 were part of these studies, too. When you take the  
9 data -- when you take all of that data in its  
10 totality and think, so maybe if that was just it on  
11 its own, it's probably not adequate. But now  
12 you've got all of these other things that probably  
13 overlap, maybe you support, maybe you don't  
14 support, depending on how you look at it.

15           In this context, in this study of this  
16 patient population, with all of these other  
17 measures that you have, does that sort of change  
18 your mind a little bit about whether or not they  
19 met the bar?

20           DR. PICKAR: Dr. Ionescu?

21           DR. IONESCU: Yes. I just wanted to agree  
22 with Raj a bit on what he just said, I think from

1 where we stand looking at this question, it did  
2 answer, a priori, what they set out to do. The  
3 question that still remains for me is, what are we  
4 really testing in cognitive function?

5           Is it really that patients are having a hard  
6 time with processing speed and executive function  
7 of filling in these symbols, or is their mind  
8 somewhere else thinking about something more  
9 negative, or that bias, that valence bias I was  
10 talking about earlier today? I think that's of  
11 course a different question, too. But I think just  
12 looking at this question as it's written, based on  
13 what the company did, I would say yes at this  
14 point.

15           DR. PICKAR: Dr. McMahon?

16           DR. McMAHON: Well, I'll take the license  
17 that I think we've been given to interpret the word  
18 "adequate" broadly. I think what I feel most  
19 comfortable saying is that I think it serves as a  
20 reasonable proxy measure compared to the 6 or  
21 8-hour battery that any of us would do if we really  
22 wanted to look at this thoroughly.

1           So while I share the concern that it may be  
2 contaminated by mood changes, and I don't think  
3 that's been adequately addressed, I've been  
4 persuaded as a non-neuropsychologist but as someone  
5 who's interested in mood disorders that it serves  
6 as an adequate proxy of some ill-defined sense of  
7 cognitive disorder.

8           DR. PICKAR: I think in some ways that  
9 summarizes this part of the discussion.  
10 Adequate -- it ranges from an adequate to a  
11 measure, and not quite perfect, that I think you  
12 commented earlier. Dr. Portis, we're on target of  
13 being where -- it could fall short. But is a  
14 measure, as Dr. Stein pointed out.

15           Yes?

16           DR. HINKIN: Can I get a little  
17 clarification as to this question? Will this be  
18 just specific to this drug, or is this going to set  
19 some sort of policy for FDA coming down stream  
20 where Digit Symbol will be seen as adequate and  
21 sufficient?

22           DR. FARCHIONE: No. I don't think that we



1 have any intent of codifying this as the way to  
2 look at cognitive function. But the trouble that  
3 we run into is that a lot of times, once something  
4 lands in a label, then every other company looks at  
5 that as their instruction manual for how to move  
6 forward with their own development programs.

7 DR. PICKAR: Dr. Temple, you've had some  
8 experience in this sort of thing. What's your  
9 thought?

10 DR. TEMPLE: Well, you're saying using it in  
11 a label as implication, and there isn't any  
12 question that it is. I felt the proxy statement  
13 was very helpful. We know perfectly  
14 well -- everybody knows perfectly well that this  
15 does not measure all aspects of cognitive function;  
16 of course it doesn't. But the question was is it a  
17 reasonable measure that might be more broadly  
18 thought of, and I think your proxy description  
19 captured what we were interested in; is it a  
20 reasonable measure for cognitive function, even if  
21 it doesn't measure all of them, because we know it  
22 doesn't do that.

1 DR. PICKAR: I think that handles that  
2 question very nicely. Let's move on to number 2,  
3 which has been lurking around all our conversations  
4 this morning and so forth. What, if any,  
5 additional data are needed pre- or post-approval to  
6 address the outstanding issues? And be clear  
7 whether you believe these data should be required  
8 prior to approval. So there's a key issue.

9 Yes, Dr. Higgins?

10 DR. HIGGINS: Yes. I actually -- I have  
11 some real ideas of how the data could be improved  
12 over time. But I would propose that this be done  
13 post-approval. It's not required that it be done  
14 pre-, and I want to see longer term studies and  
15 more diverse populations like we heard from a  
16 public speaker today.

17 DR. PICKAR: Other comments? Ionescu?

18 DR. IONESCU: Thanks.

19 DR. PICKAR: How are you? Good.

20 DR. IONESCU: I was just going to agree with  
21 something that was said earlier today of having an  
22 anchor measure, and I do not believe this needs to

1 be done pre-approval, so post-approval would be  
2 fine; but sort of like an anchor measure, how is  
3 this related to the depression and anchoring it to  
4 what we know clinically as improvement.

5 DR. PICKAR: Dr. Grieger?

6 DR. GRIEGER: This is not directly related  
7 to the question at hand, but I don't see it listed  
8 in the other questions for discussion. But what  
9 does it mean to have the clinical trial referenced  
10 and the results of it, but not suggest that it's an  
11 indication? What does that mean? Does that mean  
12 you can advertise based on a clinical trial that  
13 occurred?

14 DR. PICKAR: On television as well?

15 DR. TEMPLE: Yes. It means you can  
16 basically promote these statements as part of your  
17 advertising.

18 DR. GRIEGER: Even though it's not an  
19 indication.

20 DR. TEMPLE: Even though it's not --

21 DR. GRIEGER: Because you look at the other  
22 drugs like Abilify, and it's got an indication for

1 this, indication for that, indication for another  
2 thing.

3 DR. TEMPLE: Whether to make it an  
4 indication has to do with whether you think it's  
5 part of depression but one particular thing, or  
6 whether you think it's a totally different claim,  
7 all of that kind of stuff. And you could come out  
8 in various places on that question.

9 DR. GRIEGER: That goes back to an symptom  
10 within a syndrome.

11 DR. TEMPLE: Yes.

12 DR. GRIEGER: If you're already approved for  
13 the syndrome, you don't need to have a specific  
14 approval for a symptom.

15 DR. TEMPLE: Right, even when you give  
16 something a claim for depression, you don't write  
17 in the indication section what it did on this item  
18 and the HAMD, and all that stuff.

19 DR. GRIEGER: Okay.

20 DR. PICKAR: I think our discussion is  
21 plenty of issues remaining. Do they need to be  
22 addressed pre- or post-approval? We certainly

1 heard one person say post-approval, and there's an  
2 important comment right there.

3 DR. UNGER: Thank you. I'm Ellis Unger.  
4 I'm director of Office of Drug Evaluation I. There  
5 is no pre- or post-approval here. I need to make  
6 that very clear. The drug is approved. It's on  
7 the market. So all we're talking about is whether  
8 the FDA would approve this supplement, which would  
9 put something in section 14 of the label that would  
10 say the DSST was better, cognitive function was  
11 better, which would enable the company to  
12 immediately advertise on TV, and wherever they  
13 advertise, this is the only drug that's been shown  
14 to improve cognitive function in depression.

15 So people need to understand that. People  
16 should think about -- the earlier question about is  
17 this a new paradigm for other drugs, does this mean  
18 that any new antidepressant could simply do a test,  
19 a DSST, during their development and get the same  
20 claim in this label? The same with other  
21 antidepressants.

22 But the question is -- we had some

1 difficulty earlier extricating the change in the  
2 DSST from the change in depression. So if someone  
3 comes along and they study depression and show  
4 depression's better and the DSST is better, do they  
5 get to put that in their label? This is something  
6 you all need to think about I think.

7 DR. PICKAR: I assume that would have to be  
8 a primary endpoint, then. Would that be correct?

9 DR. UNGER: Well, you win on the primary  
10 endpoint maybe, and then you make that a secondary  
11 endpoint. And there are ways to prospectively plan  
12 a study so that you can get more than one claim,  
13 yes.

14 DR. PICKAR: Dr. Higgins?

15 DR. HIGGINS: I don't mean to be a stickler,  
16 but the question is asking us about post- or  
17 pre-approval, and maybe the wording it was not  
18 chosen --

19 DR. FARCHIONE: We meant pre- or  
20 post-approval of this particular supplement.

21 DR. HIGGINS: Of a claim.

22 DR. FARCHIONE: So the drug itself is

1 approved, but it's this supplemental application  
2 where they're seeking this additional claim.

3 DR. HIGGINS: I see. So I stand by my  
4 original statement, then.

5 DR. PICKAR: Dr. Portis?

6 DR. COMPAGNI PORTIS: As we all agree I  
7 think and heard from very compelling speakers, the  
8 need is real, and people really do need something.  
9 I want to echo what you said about longer term  
10 studies and making a big claim that is going to go  
11 into advertising, and how does that impact other  
12 drugs, and what they do or don't do.

13 I would like to see more studies that  
14 compare, more comparative studies. I'd like to see  
15 that longer term data, and I'd like to see it  
16 include more functional measures, even though I  
17 know that also has challenges. But I think it's a  
18 really important piece because I go back to this  
19 question of what we're measuring. I mean, even  
20 some of the functional measures, you're talking  
21 about, a baseline that -- well, we don't know what  
22 the baseline is. And it's different from people.

1           How functional? We heard from speakers that  
2 really were functioning at a high level, and then  
3 we've got questions and things we're asking people  
4 to do that really don't speak to that challenge of  
5 what it really means to be impaired in your daily  
6 life.

7           DR. PICKAR: Dr. McMahon -- I'm sorry. Dr.  
8 McMahon first, and then Dr. Hinkin.

9           DR. McMAHON: I was just going to say that I  
10 would be uncomfortable with a statement that this  
11 is the only drug that improves cognitive  
12 dysfunction in depression when it's only been  
13 compared, as far as I know, to one other drug. So  
14 that would concern me.

15           But a lot of the other things would interest  
16 me such as longer term studies, more diverse  
17 things, how to separate the improvement in  
18 cognition from the improvement in mood. None of  
19 those would concern me before approval. But if  
20 approval means that people would be told this is  
21 the only drug that improves cognition in  
22 depression, I'm uncomfortable with that.



1 DR. TEMPLE: They can't say that because  
2 they don't know that. But what about the statement  
3 that we're the only drug that's been shown to  
4 improve it.

5 DR. McMAHON: That's -- I hear you.

6 DR. TEMPLE: No, they can't claim  
7 comparative data when there aren't any.

8 DR. PICKAR: Dr. Hinkin?

9 DR. HINKIN: Yes. I think we're running  
10 back into that pseudospecificity issue with the way  
11 this study is designed here in that we're showing  
12 that you treat their depression, and their get  
13 symptoms get better, to a rather small degree in  
14 terms of the DSST.

15 So again, I can just see the ads on the  
16 television saying this is the only drug that's been  
17 approved, or shown, or whatever, and I don't think  
18 that would be accurate. What I would like to see  
19 is some way of showing that specific effect on  
20 cognition over and above. Improvement in that I  
21 could see.

22 DR. PICKAR: Dr. Narendran?

1 DR. NARENDRAN: I do want to reiterate what  
2 the other speakers said. I think it's -- it  
3 definitely improves the DSST. We all feel  
4 comfortable with that. But when you're saying  
5 it's -- is that really cognitive function per se in  
6 depression? I don't think that's the case. I  
7 think if that's what you want to do, I think you  
8 have to -- they must be -- they must go back and  
9 probably look at some more specific aspects of  
10 cognition to enhance the data set before you allow  
11 them to do that, I would think.

12 So I don't know if you could just say the  
13 proxy -- as a proxy is a good term, but I don't  
14 think that necessarily means that it improves  
15 cognitive function in depression per se. I don't  
16 think it's that conclusive. So you would require  
17 them to maybe do more trials to demonstrate that, I  
18 would think.

19 DR. PICKAR: Dr. Stein?

20 DR. STEIN: I was just going to say -- I  
21 don't know exactly what the wording should say, and  
22 maybe saying it improves cognition is too broad.

1 But it's going to say something like that. The  
2 company's ability, then, to say that this drug has  
3 been the only antidepressant shown to improve  
4 cognition, or whatever term is used, would be in my  
5 mind fine because it would be true. It would be  
6 the only one that's been shown and the FDA would  
7 have looked at to say that it's true.

8 We have lots of parallels like that. I  
9 think back to is there more indications for SSRIs  
10 and SNRIs in anxiety disorders. And certain  
11 companies would have done the studies to show that  
12 it works for a particular anxiety disorder and  
13 others couldn't say that. Does that mean that  
14 others don't work? No.

15 So I'm comfortable with that, and I would  
16 assume that the company would be extremely  
17 responsible and conservative in how they  
18 disseminated that information so that they're not  
19 sort of saying two-thirds of everybody with  
20 depression has cognitive dysfunction, so all of  
21 those people need to obviously start with  
22 Brintellix. I'd hope that there would be something

1 a little more --

2 DR. PICKAR: From a label point of view,  
3 would you say something like it's been shown  
4 effectiveness for a measure of cognitive  
5 dysfunction in depression? Right now, I'm just  
6 saying treating cognitive dysfunction is a big  
7 thing. We all know that this isn't just -- this is  
8 part of it.

9 DR. FARCHIONE: I'm a little reluctant to  
10 get into the weeds on the exact language of  
11 labeling because it's going to be a negotiation  
12 process.

13 DR. PICKAR: That will be your problem.

14 DR. FARCHIONE: Yes.

15 DR. PICKAR: Dr. Conley?

16 DR. CONLEY: Well, back to the weeds.

17 (Laughter.)

18 DR. CONLEY: But that's the word because I  
19 think you can probably see -- but probably this is  
20 informative enough to you -- that this is what  
21 people are really tripping over quite a bit, that  
22 cognitive dysfunction is a pretty big term. And if

1 you're kind of giving that, it may be beyond where  
2 the data lies.

3 But getting what is a substantial evidence  
4 claim into section 14 might be a little bit  
5 different. It's new. They are cognitive symptoms,  
6 whatever you want to call them; is a real thing.  
7 But I do just want to underline that I think  
8 that's -- I'll say it for myself, but as the  
9 industry rep just as a general thing, I just worry  
10 about -- I mean, I understand your worry, but at  
11 the same time, I worry about over-generalizing  
12 that.

13 To a degree, sorry, it doesn't make your job  
14 easy, and I get that. But you do have to really  
15 fight about what a specific wording is I would say  
16 for something like this. And I hear that, but  
17 that's where I don't want to -- I know even your  
18 voting question is about cognitive dysfunction,  
19 which seems broad to me. But anyhow, that's my  
20 comment on that.

21 DR. PICKAR: Yes, Dr. Farchione?

22 DR. FARCHIONE: I guess to make it a little

1 bit more broad for the committee to think about, if  
2 you think that in this program and in these studies  
3 that they have shown something that's meaningful,  
4 then after listening to all of your feedback as far  
5 as, well, maybe it's not cognitive dysfunction as a  
6 whole, maybe it's these aspects, blah, blah, blah,  
7 blah, those are the kinds of things that we would  
8 then take back into our labeling negotiations and  
9 try to nuance the wording so that it really  
10 reflects the data more accurately. But in order to  
11 get to those negotiations, we need to decide is  
12 this meaningful, is this not meaningful.

13 DR. PICKAR: That's important feedback to  
14 the committee. Dr. Temple?

15 DR. TEMPLE: One of the things -- once we  
16 decided that cognitive function wasn't necessarily  
17 pseudospecific, we then agonized between two  
18 things. One, do you have to really show you're  
19 different from all the others in some way? Not an  
20 easy thing to do if they all have some small effect  
21 or something like that, and clearly not something  
22 that has been shown yet; or given that everybody

1 thinks that the cognitive function problem is a  
2 very important one that has not been adequately  
3 assessed, is it good enough to show that you have  
4 an effect on cognitive function even if you don't  
5 have any comparative data?

6 We spent a long time agonizing about that,  
7 and that's part of why it's here.

8 DR. PICKAR: Dr. Grieger, and then the  
9 sponsor. Dr. Grieger's been waiting, and he's such  
10 a patient guy. Let him make his comment, and then  
11 to you, sir.

12 DR. GRIEGER: Well, I think, again, as the  
13 sponsor indicated before, maybe just saying  
14 something like, on this test, which is a marker of  
15 this and that, it is shown to demonstrate  
16 improvement. So you're not saying it's all  
17 cognitive, it's on this specific thing, which is  
18 used as a proxy for this and that. It does better.

19 But I'm going to go back against what I said  
20 before. I do think you need to define the  
21 population a little bit in terms of what was the  
22 severity of the depression, what was the severity

1 of the cognitive functioning at baseline? Because  
2 we all know there are people out there who  
3 prescribe antidepressants for people with  
4 adjustment disorders, marital problems, whatever.  
5 They throw a drug at it because it's the easiest  
6 thing to get the patient out of their office.

7 Quite frankly, probably too many people with  
8 mild depression are being treated pharmacologically  
9 instead of psychotherapeutically; whereas we know  
10 that these drugs are very good for people with  
11 severe depression, they don't hold up as well with  
12 people with mild depression in terms of resolving  
13 whatever it is they're experiencing.

14 So I think some comment about show this  
15 degree of -- however you want to parse that,  
16 20-point below normal rating on this test, or this  
17 rating on the depression scale, whatever defines  
18 this population, because these studies always work  
19 better in sick people.

20 DR. PICKAR: Sorry.

21 DR. PARKER: Sorry. Dr. Parker from Takeda.  
22 So I appreciate the difficulty that the committee's



1       having with this because this is a brand new area.  
2       And really what I want to say, to echo actually  
3       from FDA's standpoint, is we actually haven't had  
4       the opportunity to have a label discussion yet, so  
5       we really don't know what the appropriate language  
6       would be, from both sides, in terms of how we could  
7       craft something in terms of what would be best.

8                 We have put forward some thoughts. We  
9       definitely recognize the effect that we're talking  
10      about on the DSST, to be clear. We understand that  
11      there could be appropriate caveats in terms of the  
12      specific domains of most interest or that the FDA  
13      felt would be the ones most relevant to what we've  
14      seen.

15                But I think going back to what Dr. Farchione  
16      said, really, from our standpoint, do you see this  
17      as real and meaningful? If you agree with that, I  
18      think we have two more months with the FDA, of fun  
19      times, discussing what the label ramifications  
20      might mean. So I think from the statistical  
21      standpoint, you've got the reel, and now it's just  
22      is this meaningful; because if not, the answer is

1 it won't be in the label. Patients and doctors  
2 won't know about it.

3 DR. PICKAR: Well, I think we all recognize  
4 that this is going to have a big impact on the  
5 practice of the field.

6 Dr. Dickinson?

7 DR. DICKINSON: So my comment actually goes  
8 directly t that point, and I think we've talked  
9 about whether there's some substantial evidence for  
10 a change in this measure and whether this measure  
11 is something that represents cognition broadly, or  
12 narrowly, or whatever. But what we haven't come  
13 back to, though, which we talked about earlier in  
14 the day, is that this is -- let's say it is  
15 substantial. It does seem to me that there's kind  
16 of a nice convergence of different bits and pieces  
17 of evidences here. But is it a big effect? No,  
18 it's not.

19 I'm not as convinced about the effect size  
20 data that was presented. It seems to me that you  
21 have pretty good evidence of a very modest effect  
22 on cognition, at least in this big sample approach,

1 to evaluating things.

2 I'm also really impressed that there are a  
3 number of people for whom cognitive impairment is a  
4 huge issue and who are really, really anxious to  
5 have options, and that we should think about that,  
6 too. But I don't think we've got evidence here of  
7 a big effect. I think we've got evidence of a  
8 relatively small effect.

9 DR. PICKAR: Indeed. And I want to move on  
10 to discussion of item 3. But on that, it is a  
11 modest effect. On the other hand, from a  
12 risk/reward benefit, there's not a lot of risk to  
13 it other than maybe, I don't know, in the clinical  
14 setting. Do people find any risk to this? What  
15 would be a risk to this in the clinical setting, in  
16 giving a drug that may or may not benefit? We do  
17 that in good faith all the time.

18 DR. DICKINSON: I'm not sure the risk is  
19 clinical. I think what we've talked about in the  
20 last 15 or 20 minutes is the risk that this impacts  
21 the way the industry kind of starts dealing with  
22 antidepressants, and does this now generate a rush

1 of 20 companies to test every little --

2 DR. PICKAR: That is a huge question. I'm  
3 not sure that's the charge -- maybe it is a  
4 question I didn't read yet that you want our  
5 opinion on, but I don't think so.

6 Dr. Stein?

7 DR. STEIN: I think there is some clinical  
8 risk. I kind of alluded to it earlier, where if  
9 physicians were to hear about this and start taking  
10 all of their patients with residual cognitive  
11 symptoms who were doing pretty well on their  
12 antidepressant, maybe not great, and saying  
13 everybody's got to now go over to vortioxetine,  
14 then that could be a problem.

15 It's important for us to remember that there  
16 are no data saying what happens when you switch  
17 people who have cognitive dysfunction on their  
18 current antidepressant to vortioxetine. There's no  
19 data that I'm aware of that showed that they do  
20 better, yet that is what will happen clinically.  
21 So I think there is some risk.

22 DR. PICKAR: Fair enough. Let me read

1 question 3. Let's just move towards that. Does a  
2 claim for an effect on cognitive function require  
3 showing of superiority to another antidepressant or  
4 more than one, or is it sufficient to show an  
5 effect versus placebo on cognitive function?

6 Thoughts?

7 Dr. Stein?

8 DR. STEIN: Yes, I think it's sufficient to  
9 show that there's an effect on cognitive function.  
10 I don't think that there's a requirement that it be  
11 compared to something else when no antidepressants  
12 can say that probably.

13 DR. PICKAR: That's correct in my  
14 assessment, but maybe other people feel otherwise.  
15 Francis? Dr. McMahon?

16 DR. McMAHON: So if I understand this  
17 question correctly, though, it seems to me that if  
18 we were to say that superiority to placebo is  
19 sufficient, then we really do have no way of  
20 telling improvement in cognition from improvement  
21 in depression.

22 I found the data presented earlier today,

1 with at least one comparator drug, helpful in  
2 trying to sort that out. Without a comparator  
3 drug, then it really isn't possible to tell. We  
4 all agree that most people with depression have  
5 some degree of cognitive dysfunction and that many  
6 people when they improve, that improves as well.  
7 So without a comparator drug, I don't know how  
8 you'd sort that out.

9 DR. PICKAR: Well, we certainly have the two  
10 controlled studies that go in one way, but I must  
11 say I agree with you. Seeing that comparative  
12 study registered in the overall view of the data.  
13 Other thoughts? Dr. Temple?

14 DR. TEMPLE: Well, but in that study, it was  
15 not even nominally, significantly better than  
16 duloxetine. I mean, it leaned a little,  
17 but -- that's okay, that's good enough, that's the  
18 comparator that convinces you?

19 Remember -- I tried to pose this  
20 before -- everybody says that this has not been  
21 paid enough attention to, that this is an important  
22 part of the depression syndrome, hasn't really been

1 well studied but is often there. So that thought  
2 really is asking the question, if you've now gone  
3 and studied something that nobody ever bothered to  
4 do before and showed that it's part of the response  
5 to the antidepressant, is that good enough by  
6 itself, even if it might be true that other drugs  
7 studied could also do the same thing? They just  
8 haven't studied it. That's what that question's  
9 about.

10 DR. PICKAR: Right. Right. Dr. McMahon, do  
11 you have any other comment?

12 DR. McMAHON: Well, I imagine it's true that  
13 no direct comparison was made between the  
14 duloxetine and the vortioxetine in these studies.  
15 It was really --

16 DR. TEMPLE: It was. They were both there,  
17 but you can see they're not significantly  
18 different.

19 DR. McMAHON: Yes.

20 DR. FARCHIONE: And I will say that we dig  
21 into that data. It wasn't part of it, but we did  
22 look at the comparison and change from the

1 vortioxetine group versus the duloxetine group.  
2 And those two, even though vortioxetine beat  
3 placebo, duloxetine did not beat placebo.  
4 Vortioxetine didn't statistically beat duloxetine.

5 DR. McMAHON: Right. I understand that  
6 data. I imagine there are design and power issues  
7 that would get involved in those kind of direct  
8 comparisons. It would be a study I'd like to see  
9 at some point. I'd like to see it compared to lots  
10 of antidepressants, but I don't think it's  
11 essential to being able to say that this is  
12 improving cognition to some degree.

13 DR. PICKAR: Dr. Narendran?

14 DR. NARENDHAN: I do want to support the  
15 idea that I think it's -- I mean, to try and tease  
16 out if this a mood disorder related effect,  
17 antidepressant effect versus is this a cognitive  
18 disorder effect when major depression itself is  
19 just a cluster of symptoms, and it's not really  
20 based in biology -- so I think that's more an  
21 academic exercise. If it beats placebo and  
22 cognition improves, I think it's okay. It's



1 reasonable. To have a comparator as they did I  
2 think is commendable, but I don't think that in  
3 itself should guide the decision process per se.

4 DR. PICKAR: Dr. Conley?

5 DR. CONLEY: So this goes back to this and  
6 maybe what -- I know you entered into a lot of  
7 negotiations as this process goes along, and I'm  
8 not sure -- I just don't remember -- it may have  
9 been in the briefing document -- of what you said  
10 about this. Was this okay with you as a trial  
11 design, with basically showing superiority to  
12 placebo? But in essence, I think -- I saw -- I was  
13 reading that duloxetine in there is basically just  
14 an active control, not really a true comparator.  
15 It wasn't powered for that I don't think.

16 DR. FARCHIONE: And that's really what I was  
17 trying to say when I gave that brief overview on  
18 the regulatory issue.

19 DR. CONLEY: That's what I thought.

20 DR. FARCHIONE: So we didn't really have a  
21 whole lot of input because we were approaching it  
22 from the perspective that this was pseudospecific,

1 and we weren't really going to entertain it anyway.

2 DR. CONLEY: Got it. So it wasn't as if  
3 there -- obviously, you didn't have a SPA about  
4 this. But that helps. I just didn't understand  
5 that. But I do think the sponsor didn't power it  
6 to be separating the two.

7 DR. PICKAR: Right.

8 DR. TEMPLE: You know, there's language in  
9 some of the early descriptions of the study that  
10 suggest that they hope they would beat it. But  
11 you're right. If it has a little effect but not as  
12 big effect, your chances of winning are sort of  
13 small.

14 DR. CONLEY: Yes. And I mean part of the  
15 worry I have about this, as we've all talked about,  
16 the fact that people who have depression often have  
17 some cognitive manifestations of that -- I'm trying  
18 to figure out a term for that -- that get better as  
19 their depression gets better. So that's whole  
20 worry about pseudospecificity, of course. But that  
21 means that every antidepressant, every depression  
22 therapy is going to show a little bit of something,

1 and that's going to make it harder to separate  
2 anything that's even real.

3 DR. TEMPLE: In thinking about this, one  
4 other possibility, not that it's been done, is to  
5 take people with a pretty good response on their  
6 overall depression but who have residual cognitive  
7 dysfunction, and either do an add-on study, or a  
8 substitute study, or something like that, and show  
9 that you do better. That hasn't been done yet, but  
10 that's an enrichment design that could show it if  
11 it's true. If they're really better than the other  
12 drugs, it would come out in that kind of study.  
13 But we did not insist on that in any way.

14 DR. CONLEY: And you all presented it  
15 correctly that -- I mean, in some ways I'd say you  
16 can plan the future pretty well, but unfortunately  
17 you have to deal with the present, that you do have  
18 this application in the middle of trying to think  
19 through that. I've heard that, too.

20 DR. PICKAR: Okay. Just summarizing  
21 question 3, does it require showing superiority to  
22 another antidepressant. I think the general

1 question was no, but, boy, it's interesting to see  
2 it, and we're all going to look forward to it. I  
3 think we're going to be reading a lot of these  
4 studies going forward. It just has that feeling to  
5 me. The feedback to you is it's not limiting, but  
6 it will be interesting, and we'll probably have  
7 that interest down the road. We'll see it all.

8 Other comments before we move on to the  
9 fourth question, which is a voting question. Other  
10 comments before we go to vote?

11 (No response.)

12 DR. PICKAR: Okay. Let me read the vote out  
13 loud. Has substantial evidence been presented by  
14 the applicant to support a claim of effectiveness  
15 for vortioxetine for treatment of cognitive  
16 dysfunction in major depressive disorder? That's  
17 the question that we go.

18 We move on here now, and I think we're  
19 supposed to see buttons on our microphone. There  
20 we go. Do you see them blinking? What you do is  
21 you just start doing it, yes, no, abstain. You  
22 have about 20 seconds to do it. Press the button

1       firmly. After you've made your selection, the  
2       light may continue to flash. If you're unsure of  
3       your vote or you wish to change your vote, please  
4       press the corresponding button again before the  
5       vote is closed.

6               DR. TEMPLE: Could I just say one thing?

7               DR. PICKAR: Sure.

8               DR. TEMPLE: There's been some discussion  
9       about whether this is a measure of cognitive  
10       function in toto or something like that. This  
11       question is really about whether they've shown an  
12       effect on some aspect of cognitive function,  
13       however you turn to describe it later.

14              DR. PICKAR: That's exactly right. We were  
15       getting into big questions --

16              DR. TEMPLE: Yes.

17              DR. PICKAR: -- and interesting  
18       conversation, but this is much more specific than  
19       that, and it's around the data that was shown. And  
20       I think when you vote, you focus on that, and  
21       that's the way this process works, is you keep  
22       score. The data's been shown, and now your opinion

1 about it.

2 We can go around the room to discuss and  
3 then do the votes if you'd like to. We can do it  
4 quickly. You don't have to say anything. Dr.  
5 Conley, anything more?

6 DR. CONLEY: No. I appreciate the  
7 clarification of it, not being as broad, being a  
8 little more specific. That seems great.

9 DR. PICKAR: Anybody else want to comment?  
10 I think we go ahead and vote, then we speak about  
11 the vote afterwards. I think that's the way it's  
12 done. Correct? Okay, folks. Here we go. Are we  
13 ready over there? Thank you.

14 (Vote taken.)

15 MS. BHATT: So the voting results, yes is 8;  
16 no is 2; abstain, zero.

17 DR. PICKAR: We have here is the actual  
18 recording of how we all voted, and we're going to  
19 around the table, which is the tradition, and just  
20 say a comment, you don't have to say anything more,  
21 just to confirm your vote. If you have a comment,  
22 but all means share it.

1           So let's start down there. Dr. Conley, you  
2           didn't vote, so Dr. Dickinson.

3           DR. DICKINSON: I guess I voted yes. And my  
4           comment is that I think in the totality, not just  
5           the Digit Symbol data but also the data with  
6           respect to other cognitive measures, and  
7           additionally the data with respect to the UPSA,  
8           which I kind of think of as a cognitive measure,  
9           that there's evidence of a small effect.

10          DR. HINKIN: I voted no because I did not  
11          see in the data a substantial effect, part of that  
12          prong of the statement. Yes, some minor small  
13          negligible, but not substantial.

14          DR. PICKAR: Would you declare your name?  
15          Because this is a formal vote. I didn't ask you to  
16          do that.

17          DR. HINKIN: Dr. Charles Hinkin.

18          DR. PICKAR: And Dwight? I'd like to make  
19          sure you know who you are.

20          (Laughter.)

21          DR. DICKINSON: Dr. Dwight Dickinson.

22          DR. PICKAR: Nice job. Okay. Dr. McMahon?

1 DR. McMAHON: Dr. Francis McMahon. I was  
2 persuaded to vote yes by the preponderance of the  
3 evidence and the big clinical need to address all  
4 aspects of impairment in depression, and the  
5 problems we have with currently available  
6 treatments in doing that.

7 DR. COMPAGNI PORTIS: Natalie Compagni  
8 Portis. I voted no because of the -- as it was  
9 stated, that I didn't feel like there was  
10 substantial evidence. I think there's an important  
11 need, and I feel like there's some real excitement  
12 about the possibility here. But I feel like the  
13 information is limited and the brevity of the data  
14 and the small effect size is concerning to me.

15 DR. HIGGINS: Jennifer Higgins. Based on my  
16 consideration of all the data, I voted yes.

17 DR. GRIEGER: Thomas Grieger. I voted yes.  
18 I think it's like all medications. We'll know in  
19 the long run whether it's that much better or not  
20 at all different. But this gives -- at least it  
21 gives clinicians a thought of something different  
22 to do than what they might be doing already with a



1 patient who isn't getting well.

2 DR. PICKAR: David Pickar. I voted yes, and  
3 I agree with the comments, favorable comments. And  
4 to the FDA to work on completing this, this is  
5 going to make a difference in practice.

6 DR. IONESCU: Dawn Ionescu. I voted yes. I  
7 voted this based on the data that was presented  
8 today. The only question that remains in my mind  
9 after the discussion today is what is the brain  
10 doing when it's not concentrating on these tasks in  
11 our patients with depression?

12 DR. STEIN: Murray Stein. I voted yes. I  
13 was thinking about what we would expect the company  
14 to do if they'd done these studies and showed that  
15 their drug works in cognition, and I think we'd  
16 expect them to put it in the label. So they've  
17 done the studies. They've shown that there's been  
18 some improvement. It's not huge, but it's better  
19 than placebo, and I think it belongs in the label.

20 DR. NARENDRAN: Raj Narendran. I voted yes  
21 as well. I think I overall thought that the data  
22 was pretty convergent and conclusive that it does

1 have an effect, though the magnitude of the effect  
2 is still under question and the clinical relevance  
3 is still under question. But it seems to be -- I  
4 don't think anything more or less is going to add  
5 to it at this point.

6 **Adjournment**

7 DR. PICKAR: Well, thank you very much.  
8 And, Kalyani Bhatt, thank you very much for helping  
9 us through this. Unless there are any more  
10 questions or comments, we will adjourn the meeting.  
11 I hope it's helpful to our FDA colleagues. And  
12 take your stuff with you. All materials left on  
13 the table will be disposed of I am told.

14 Thank you all very much. Thank you for  
15 coming.

16 (Whereupon, at 4:36 p.m., the afternoon  
17 session was adjourned.)  
18  
19  
20  
21  
22