

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

DRUG SAFETY AND RISK MANAGEMENT AND ANESTHETIC AND
ANALGESIC DRUG PRODUCTS ADVISORY COMMITTEES

Open Session

Thursday, May 5, 2016

9:15 a.m. to 4:20 p.m.

FDA White Oak Campus
White Oak Conference Center
Building 31, The Great Room
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Stephanie L. Begansky, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Brian T. Bateman, MD, MSc**

11 Associate Professor of Anesthesia

12 Division of Pharmacoepidemiology and

13 Pharmacoeconomics

14 Department of Medicine

15 Brigham and Women's Hospital

16 Department of Anesthesia, Critical Care, and

17 Pain Medicine

18 Massachusetts General Hospital

19 Harvard Medical School

20 Boston, Massachusetts

21

22

1 **Raeford E. Brown, Jr., MD, FAAP**

2 *(Acting Chairperson)*

3 Professor of Anesthesiology and Pediatrics

4 College of Medicine

5 University of Kentucky

6 Lexington, Kentucky

7

8 **David S. Craig, PharmD**

9 Clinical Pharmacy Specialist

10 Department of Pharmacy

11 H. Lee Moffitt Cancer Center & Research Institute

12 Tampa, Florida

13

14 **Charles W. Emala, Sr., MS, MD**

15 Professor and Vice-Chair for Research

16 Department of Anesthesiology

17 Columbia University College of Physicians &

18 Surgeons

19 New York, New York

20

21

22

1 **Anita Gupta, DO, PharmD**

2 Vice Chair, Pain Medicine

3 Associate Professor

4 Medical Director/Fellowship Director

5 Department of Pain Medicine and Regional

6 Anesthesiology

7 Drexel University College of Medicine

8 Hahnemann University Hospital

9 Philadelphia, Pennsylvania

10

11 **Jennifer G. Higgins, PhD**

12 *(Consumer Representative)*

13 Director of Strategic Planning and Business

14 Development

15 Center for Human Development

16 Springfield, Massachusetts

17

18 **Abigail B. Shoben, PhD**

19 Assistant Professor, Division of Biostatistics

20 College of Public Health

21 The Ohio State University

22 Columbus, Ohio

1 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

2 **COMMITTEE MEMBER (Non-Voting)**

3 **William Herring**

4 *(Industry Representative)*

5 Neurologist

6 Executive Director and Section Head

7 Neurology, Clinical Neurosciences

8 Merck Research Laboratories, Merck & Co.

9 North Wales, Pennsylvania

10
11 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

12 **MEMBERS (Voting)**

13
14 **Tobias Gerhard, PhD, RPh**

15 Associate Professor

16 Rutgers University

17 Department of Pharmacy Practice and Administration

18 Ernest Mario School of Pharmacy

19 New Brunswick, New Jersey

20

21

22

1 **Jeanmarie Perrone, MD, FACMT**

2 Professor, Emergency Medicine

3 Director, Division of Medical Toxicology

4 Department of Emergency Medicine

5 Perelman School of Medicine at the University of

6 Pennsylvania

7 Philadelphia, Pennsylvania

8

9 **Marjorie Shaw Phillips, MS, RPh, FASHP**

10 Pharmacy Coordinator

11 Clinical Research and Education

12 AU Medical Center at Augusta University

13 Clinical Professor of Pharmacy Practice

14 University of Georgia College of Pharmacy

15 Augusta, Georgia

16

17

18

19

20

21

22

1 **Linda Tyler, PharmD, FASHP**

2 Chief Pharmacy Officer

3 University of Utah Hospitals & Clinics

4 Professor (Clinical) and Associate Dean for

5 Pharmacy Practice

6 University of Utah College of Pharmacy

7 Salt Lake City, Utah

8
9 **Andy S. Stergachis, PhD, RPh**

10 Professor, Epidemiology and Global Health

11 Director, Global Medicines Program

12 University of Washington School of Public Health

13 Seattle, Washington

14
15 **TEMPORARY MEMBERS (Voting)**

16 **Melinda Campopiano, MD**

17 Medical Officer and Branch Chief

18 Regulatory Programs

19 Center for Substance Abuse Treatment

20 Substance Abuse and Mental Health Services

21 Administration (SAMHSA)

22 Rockville, Maryland

1 **Maureen D. Donovan, PhD**

2 Associate Dean for Undergraduate Education
3 Professor, Department of Pharmaceutical Sciences
4 and Experimental Therapeutics
5 College of Pharmacy
6 University of Iowa
7 Iowa City, Iowa

8

9 **James N. Hall**

10 Epidemiologist
11 Center for Applied Research on Substance Use and
12 Health Disparities
13 Nova Southeastern University
14 Miami, Florida

15

16 **Heidi Israel, PhD, FNP**

17 Associate Research Professor
18 Saint Louis University School of Medicine
19 St. Louis, Missouri

20

21

22

1 **Alan D. Kaye, MD, PhD**

2 Professor and Chairman

3 Department of Anesthesia

4 Louisiana State University School of Medicine

5 New Orleans, Louisiana

6
7 **Edward Michna, MD, JD, RPh**

8 Director, Pain Trials Center

9 Department of Anesthesia

10 Preoperative and Pain Medicine

11 Brigham & Women's Hospital

12 Assistant Professor Harvard Medical School

13 Boston, Massachusetts

14
15 **Elaine Morrato, DrPH, MPH**

16 Associate Professor

17 Department of Health Systems Management and Policy

18 Dean for Public Health Practice

19 Colorado School of Public Health

20 University of Colorado Anschutz Medical Campus

21 Aurora, Colorado

22

1 **Joseph O'Brien, MBA**

2 *(Patient Representative)*

3 Stoughton, Massachusetts

4

5 **FDA PARTICIPANTS (Non-Voting)**

6 **Sharon Hertz, MD**

7 Director of the Division of Anesthesia, Analgesia
8 and Addiction Products (DAAAP)

9 Office of Drug Evaluation II (ODE II)

10 Office of New Drugs (OND)

11 CDER, FDA

12

13 **Ellen Fields, MD, MPH**

14 Deputy Director

15 DAAAP, ODE II, OND, CDER, FDA

16

17 **Judy Staffa, PhD, RPh**

18 Acting Associate Director for Public Health
19 Initiatives

20 Office of Surveillance and Epidemiology

21 CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Michael Klein, PhD

Director

Controlled Substance Staff

Office of the Center Director

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committees	
4	Raeford Brown, MD, FAAP	14
5	Conflict of Interest Statement	
6	Stephanie Begansky, PharmD	19
7	FDA Introductory Remarks	
8	Ellen Fields, MD	23
9	Applicant Presentations - KemPharm, Inc.	
10	Introduction	
11	Travis Mickle, PhD	31
12	Clinical Perspective	
13	Jeffrey Gudin, MD	36
14	Development Overview and Tampering Studies	
15	Travis Mickle, PhD	43
16	Clinical Abuse-Deterrence Studies	
17	Lynn Webster, MD	52
18	Post-Marketing Surveillance Future Studies	
19	Travis Mickle, PhD	66
20	Benefit-Risk Profile	
21	Jeffrey Gudin, MD	69
22	Clarifying Questions	74

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	<i>In Vitro</i> Abuse Deterrent Studies	
5	Benjamin Stevens, PhD, MPH	92
6	Results of Human Abuse Potential Studies	
7	James Tolliver, PhD	113
8	Drug Utilization Patterns for Combination	
9	Hydrocodone/Acetaminophen and Other	
10	Selected Opioid Analgesics, Years 2011-2015	
11	Rajdeep Gill, PharmD	131
12	Is Snorting a Relevant Route of Abuse for	
13	Hydrocodone Combination Products?	
14	Jana McAninch, MD, MPH. MS	
15	138	
16	Clarifying Questions	156
17	Open Public Hearing	172
18	Clarifying Questions (continued)	206
19	Charge to the Committees	
20	Sharon Hertz, MD	243
21	Questions to the Committees and Discussion	248
22	Adjournment	304

1 P R O C E E D I N G S

2 (9:15 a.m.)

3 **Call to Order**

4 **Introduction of Committees**

5 DR. BROWN: Good morning. I would like to
6 remind everyone to please silence your cell phones,
7 smartphones, and any other devices if you have not
8 already done so. I would like to also identify our
9 press contact, Sarah Peddicord.

10 Sarah? Hi, how are you doing? She's in the
11 back.

12 My name is Ray Brown. I'm the acting chair
13 of the Anesthetic and Analgesic Drug Products
14 Advisory Committee. I'll be chairing this meeting.
15 I'll now call the joint meeting of the Anesthetic
16 and Analgesic Drug Products Advisory Committee and
17 the Drug Safety and Risk Management Advisory
18 Committee to order.

19 We'll start by going around the table and
20 introduce ourselves. Let's start on the left with
21 the FDA.

22 DR. HERTZ: Sharon Hertz, division director

1 for the Division of Anesthesia, Analgesia, and
2 Addiction Products.

3 DR. FIELDS: I'm Ellen Fields, deputy
4 director of the same division.

5 DR. STAFFA: Good morning. Judy Staffa,
6 acting associate director for public health
7 initiatives, Office of Surveillance and
8 Epidemiology, CDER.

9 DR. KLEIN: Michael Klein, director of
10 controlled substance staff.

11 DR. TYLER: Linda Tyler, chief pharmacy
12 officer, University of Utah Hospitals and Clinics.

13 MS. SHAW PHILLIPS: Good morning. Marjorie
14 Shaw Phillips, Augusta University Medical Center
15 and University of Georgia College of Pharmacy.

16 DR. GUPTA: Dr. Anita Gupta, vice chair of
17 anesthesiology at Drexel University College of
18 Medicine. I'm an anesthesiologist and pharmacist.

19 DR. BATEMAN: Brian Bateman. I'm an
20 anesthesiologist at Massachusetts General Hospital,
21 Harvard Medical School.

22 DR. STERGACHIS: Andy Stergachis, professor

1 of pharmacy and global health and associate dean,
2 University of Washington.

3 DR. MORRATO: Good morning. Elaine Morrato,
4 an epidemiologist at the Colorado School of Public
5 Health and associate dean for public health
6 practice.

7 DR. SHOBNEN: I'm Abi Shoben. I'm a
8 biostatistician at the Ohio State University.

9 DR. CRAIG: David Craig, Moffitt Cancer
10 Center, Tampa, Florida.

11 LCDR BEGANSKY: I'm Stephanie Begansky, the
12 designated federal officer for today's meeting.

13 DR. BROWN: I'm Ray Brown. I'm a pediatric
14 anesthesiologist at the University of Kentucky.

15 DR. PERRONE: Good morning. I'm
16 Jeanmarie Perrone. I'm an emergency physician and
17 the Director of medical toxicology at the
18 University of Pennsylvania.

19 DR. EMALA: Charles Emala. I'm an
20 anesthesiologist, vice chair for research, Columbia
21 University in New York.

22 DR. KAYE: Good morning. Alan Kaye. I'm a

1 pharmacologist, anesthesiologist, and pain expert,
2 and chairman of anesthesia at LSU School of
3 Medicine in New Orleans.

4 DR. CAMPOPIANO: I'm Melinda Campopiano,
5 medical officer and branch chief for regulatory
6 programs at the Center for Substance Abuse
7 Treatment at the Substance Abuse Mental Health
8 Service Administration.

9 DR. HALL: I'm James Hall, epidemiologist,
10 Nova Southeastern University in South Florida.

11 MR. O'BRIEN: Joe O'Brien, patient
12 representative and president, CEO, and patient at
13 the National Scoliosis Foundation.

14 DR. HIGGINS: Jennifer Higgins, consumer
15 representative.

16 DR. GERHARD: Tobias Gerhard,
17 pharmacoepidemiologist, associate professor of
18 pharmacy at Rutgers University.

19 DR. MICHNA: Ed Michna, a pain physician at
20 Brigham and Women's Hospital in Boston.

21 DR. DONOVAN: Maureen Donovan, associate
22 dean and professor of pharmaceuticals, College of

1 Pharmacy, University of Iowa.

2 DR. ISRAEL: Heidi Israel, associate
3 professor at Saint Louis University School of
4 Medicine.

5 MR. HERRING: Good morning. I'm
6 William Herring, a neurologist employed by Merck,
7 industry representative.

8 DR. BROWN: For topics such as those being
9 discussed at today's meeting, there are often a
10 variety of opinions, some of which are quite
11 strongly held. Our goal is that today's meeting
12 will be a fair and open forum for discussion of
13 these issues and that individuals can express their
14 views without interruption.

15 Thus, as a gentle reminder, individuals will
16 be allowed to speak into the record only if
17 recognized by the chairperson. We look forward to
18 a productive meeting.

19 In the spirit of the Federal Advisory
20 Committee Act and the Government in the Sunshine
21 Act, we ask that the advisory committee members
22 take care that their conversations about the topic

1 at hand take place in the open forum of the
2 meeting.

3 We are aware that members of the media are
4 anxious to speak with the FDA about these
5 proceedings. However, FDA will refrain from
6 discussing the details of this meeting with the
7 media until its conclusion. Also, the committee is
8 reminded to please refrain from discussing the
9 meeting topic during breaks or lunch. Thank you.

10 Now, I'll pass it to Lieutenant Commander,
11 Stephanie Begansky, who will read the Conflict of
12 Interest Statement.

13 **Conflict of Interest Statement**

14 LCDR BEGANSKY: Thank you. The Food and
15 Drug Administration is convening today's joint
16 meeting of the Anesthetic and Analgesic Drug
17 Products Advisory Committee and the Drug Safety and
18 Risk Management Advisory Committee under the
19 authority of the Federal Advisory Committee Act of
20 1972.

21 With the exception of the industry
22 representatives, all members and temporary voting

1 members of the committees are special government
2 employees or regular federal employees from other
3 agencies and are subject to federal conflict of
4 interest laws and regulations.

5 The following information on the status of
6 these committees' compliance with federal ethics
7 and conflict of interest laws, covered by but not
8 limited to those found at 18 U.S.C. Section 208, is
9 being provided to participants in today's meeting
10 and to the public.

11 FDA has determined that members and
12 temporary voting members of these committees are in
13 compliance with federal ethics and conflict of
14 interest laws.

15 Under 18 U.S.C. Section 208, Congress has
16 authorized FDA to grant waivers to special
17 government employees and regular federal employees
18 who have potential financial conflicts when it is
19 determined that the agency's need for a particular
20 individual's services outweighs his or her
21 potential financial conflict of interest.

22 Related to the discussions of today's

1 meeting, members and temporary voting members of
2 these committees have been screened for potential
3 financial conflicts of interest of their own as
4 well as those imputed to them, including those of
5 their spouses or minor children and, for purposes
6 of 18 U.S.C. Section 208, their employers.

7 These interests may include investments;
8 consulting; expert witness testimony;
9 contracts/grants/CRADAs; teaching/speaking/writing;
10 patents and royalties; and primary employment.

11 Today's agenda involves New Drug
12 Application 208653, benzhydrocodone and
13 acetaminophen oral tablets submitted by KemPharm
14 with the proposed indication of short-term
15 up-to-14 days management of acute pain.

16 The product has been formulated with the
17 intent to provide abuse-deterrent properties.
18 Benzhydrocodone is a hydrocodone prodrug, which,
19 according to the applicant, is rapidly converted
20 into hydrocodone by enzymes in the gastrointestinal
21 tract. The active drugs in this fixed-dose
22 combination are hydrocodone and acetaminophen.

1 The applicant has submitted data to support
2 abuse-deterrent properties for this product. The
3 committees will be asked to discuss whether the
4 applicant has demonstrated abuse-deterrent
5 properties for their product that would support
6 labeling and whether the nasal route of abuse is
7 relevant for combination products made up of
8 hydrocodone and acetaminophen.

9 This is a particular matters meeting during
10 which specific matters related to KemPharm's NDA
11 will be discussed. Based on the agenda for today's
12 meeting and all financial interests reported by the
13 committee members and temporary voting members, no
14 conflict of interest waivers have been issued in
15 connection with this meeting.

16 To ensure transparency, we encourage all
17 standing committee members and temporary voting
18 members to disclose any public statements that they
19 have made concerning the product at issue.

20 With respect to FDA's invited industry
21 representatives, we would like to disclose that
22 Dr. Joseph Herring and Dr. Linda Scarazzini are

1 participating in this meeting as non-voting
2 industry representatives acting on behalf of
3 regulated industry. Their role at this meeting is
4 to represent industry in general and not any
5 particular company. Dr. Herring is employed by
6 Merck and Dr. Scarazzini is employed by AbbVie.

7 We would like to remind members and
8 temporary voting members that if the discussions
9 involve any other products or firms not already on
10 the agenda for which an FDA participant has a
11 personal or imputed financial interest, the
12 participants need to exclude themselves from such
13 involvement, and their exclusion will be noted for
14 the record.

15 FDA encourages all other participants to
16 advise the committees of any financial
17 relationships they may have with the firm at issue.
18 Thank you.

19 DR. BROWN: We will now proceed with the
20 FDA's introductory remarks from Dr. Ellen Fields.

21 **FDA Introductory Remarks - Ellen Fields**

22 DR. FIELDS: Good morning, Dr. Brown,

1 members of the Anesthesia and Analgesia Drugs
2 Advisory Committee, members of the Drug Safety and
3 Risk Management Advisory Committee, and invited
4 guests. Thank you for joining us today. Many of
5 you have been here for the previous two days for
6 the REMS AC, and some are here for the first time
7 today. We sincerely thank all of you for spending
8 your valuable time assisting us with these
9 important issues.

10 Today, we will be discussing an application
11 from KemPharm for a new immediate-release
12 formulation of benzhydrocodone and acetaminophen
13 with the proposed trade name, Apadaz, which is
14 intended for the short-term management of acute
15 pain.

16 Benzhydrocodone, known as KP201 during
17 development, is a prodrug of hydrocodone and is
18 intended to be converted into hydrocodone by
19 enzymes in the gastrointestinal tract. The
20 applicant maintains that this requirement for
21 conversion in the GI tract can modify the
22 pharmacokinetic profile and decrease the exposure

1 to the active drug, hydrocodone, when taken by the
2 nasal or intravenous routes of administration for
3 the purpose of abuse.

4 The reason for bringing this NDA to an
5 advisory committee meeting today is to ascertain
6 whether the applicant has demonstrated
7 abuse-deterrent properties for their product,
8 whether these properties are relevant to the public
9 health, and whether the benefits of Apadaz outweigh
10 its risks.

11 During this meeting, you will hear
12 presentations from KemPharm and FDA on the studies
13 conducted by the applicant to demonstrate
14 abuse-deterrent properties of Apadaz. You will
15 also hear presentations regarding the epidemiology
16 of the routes of abuse for
17 hydrocodone/acetaminophen combination products,
18 specifically regarding the relevance of the
19 intranasal route of abuse for these products.

20 We are aware of the immense public health
21 problem that exists in the United States today from
22 the abuse of prescription opioids. As part of a

1 larger effort across HHS, we at FDA have encouraged
2 drug companies to develop novel interventions to
3 reduce or, when possible, prevent this abuse. To
4 this end, we have supported the development of
5 novel formulations through multiple interactions
6 with both the pharmaceutical industry and the
7 academic community.

8 In April 2015, we issued the guidance for
9 industry abuse-deterrent opioids, which explains
10 the agency's current thinking regarding studies
11 that should be conducted to demonstrate that a
12 given formulation has abuse-deterrent properties,
13 makes recommendations about how these studies
14 should be performed and evaluated, and discusses
15 how to describe those studies and their
16 implications in product labeling.

17 In response to the growing epidemic of
18 opioid abuse, dependence and overdose in the
19 United States, the commissioner announced an opioid
20 action plan in February of this year to take steps
21 toward reducing the impact of opioid abuse on the
22 public health.

1 As part of this plan, the agency has
2 committed to work more closely with its advisory
3 committees before making critical product and
4 labeling decisions. And as you may know, we are
5 calling on all of you more often to fulfill this
6 goal.

7 As we work to make opioid analgesics less
8 desirable targets for abuse, we cannot forget that
9 the underlying purpose of these opioid analgesics
10 is the management of pain in patients for which
11 other alternatives are inadequate and opioids
12 remain an important component of pain management.

13 The greater amount of opioid available in
14 many extended-release opioid analgesics relative to
15 immediate-release products is associated with
16 greater risk for overdose and death but also makes
17 these a desirable target for those seeking to abuse
18 opioids. However, immediate-release opioids are
19 also abused, and the development of abuse-deterrent
20 immediate-release formulations that can reduce
21 abuse is also an important public health goal.

22 While the most common route of abuse for

1 opioids is oral, the risk for infection and
2 overdose associated with intravenous and nasal
3 routes make these routes of abuse important targets
4 for abuse-deterrent properties.

5 With every new product, we weigh risks and
6 benefits. With new abuse-deterrent formulations,
7 we are also watchful for any evidence that the
8 product results in a new or increased safety risk
9 for patients who take the product as directed, as
10 discussed at an advisory committee meeting last
11 September, and for any evidence that by deterring
12 abuse by one route of administration, the new
13 product may shift abuse to a riskier route of
14 administration; for example, deterring oral abuse
15 but inadvertently making nasal or intravenous abuse
16 more attractive.

17 There are currently six approved
18 extended-release opioid products with
19 abuse-deterrent properties, and we are watching the
20 postmarketing data closely for any signs of
21 unintended problems associated with these products.
22 If it is approved with abuse-deterrent language in

1 the label, Apadaz would be the first immediate-
2 release opioid analgesic with such labeling.

3 Today, you will be asked to discuss whether
4 the applicant has demonstrated abuse-deterrent
5 properties for their product that would support
6 labeling.

7 In addition, you will be asked to discuss
8 whether the nasal route of abuse is relevant for
9 Apadaz and products that contain hydrocodone and
10 acetaminophen as active ingredients, as this
11 pertains to the applicant's claim for their product
12 representing a benefit over
13 hydrocodone/acetaminophen products. And finally,
14 you will be asked whether the benefits of Apadaz
15 outweigh its risks and whether it should be
16 approved.

17 These are clearly difficult questions for
18 which there are no easy answers. We are asking
19 that you provide your expertise, your experience,
20 and your best insights in order to help us find a
21 reasonable and responsible path forward.

22 Your advice and recommendations will be

1 essential in assisting us with addressing this
2 complex and critical public health concern. We are
3 grateful that you have agreed to join us and look
4 forward to this important discussion.

5 DR. BROWN: Thank you, Dr. Fields.

6 Both the FDA and the public believe in a
7 transparent process for information-gathering and
8 decision-making. To ensure such transparency at
9 the advisory committee meeting, FDA believes that
10 it is important to understand the context of an
11 individual's presentation.

12 For this reason, FDA encourages all
13 participants, including the applicant's
14 non-employee presenters, to advise the committee of
15 any financial relationships that they may have with
16 the applicant such as consulting fees, travel
17 expenses, honoraria, and interest in the sponsor,
18 including equity interest and those based upon the
19 outcome of the meeting.

20 Likewise, FDA encourages you, at the
21 beginning of your presentation, to advise the
22 committee if you do not have any such financial

1 relationships. If you choose not to address this
2 issue of financial relationships at the beginning
3 of your presentation, it will not preclude you from
4 speaking.

5 We will now proceed with KemPharm's
6 presentations.

7 **Applicant Presentation - Travis Mickle**

8 DR. MICKLE: Good morning. I'm
9 Travis Mickle, and I'm the co-Founder and chief
10 executive officer of KemPharm. I'd like to thank
11 the FDA and the members of the advisory committee
12 for your time in reviewing the data on Apadaz, our
13 abuse-deterrent hydrocodone immediate-release
14 combination product.

15 Apadaz is composed of benzhydrocodone
16 hydrochloride, which is also known as KP201, a
17 prodrug of hydrocodone and benzoic acid with
18 acetaminophen. Each tablet of Apadaz contains
19 6.67 milligrams of the prodrug, which is equivalent
20 to 7.5 milligrams of hydrocodone bitartrate, as
21 well as 325 milligrams of acetaminophen.

22 Similar to other approved immediate-release

1 hydrocodone combination products, Apadaz is meant
2 to be taken every 4 to 6 hours for the treatment of
3 acute pain.

4 Historically, the development of opioids
5 with abuse-deterrent features have focused on
6 agonist/antagonist combinations, aversive agents,
7 and formulations with physical or chemical
8 barriers, including hard-to-crush tablets or
9 formulations with gelling agents.

10 These opioids impart their abuse-deterrents
11 by adding ingredients to the formulation, unlike
12 naltrexone, that are not necessary for analgesia
13 and have potential to lead to adverse effects.

14 As a prodrug, Apadaz is different. The
15 abuse-deterrent properties are conferred at the
16 molecular level by adding a naturally occurring
17 substance to hydrocodone. Crushing or grinding has
18 no effect on the release profile of Apadaz. Unlike
19 other abuse-deterrent approaches, our prodrug does
20 not affect analgesia and comes at no additional
21 risk to patients.

22 Let me describe how the prodrug approach to

1 abuse deterrence with Apadaz works in more detail.
2 The prodrug is a new molecular entity formed by a
3 covalent bond between hydrocodone, the active
4 opioid, and benzoic acid, the ligand. The prodrug
5 itself is inert and does not bind effectively to
6 opioid receptors.

7 When taken orally, as intended, Apadaz is
8 rapidly metabolized by esterases in the intestinal
9 tract, which allows that active hydrocodone to bind
10 to opioid receptors and deliver effective
11 analgesia. And while abuse-deterrent properties
12 are important in the context of public health,
13 these features do not pose any additional risk to a
14 patient being treated for pain.

15 Our clinical pharmacology program has shown
16 that the abuse-deterrent features of Apadaz will be
17 transparent to patients.

18 Apadaz was bioequivalent to the listed
19 referenced drugs and met the requirements of the
20 505(b)(2) pathway. It was also bioequivalent to
21 the clinically relevant comparator, Norco, an
22 immediate-release hydrocodone/acetaminophen

1 combination product like Vicodin, Lorcet, and
2 Lortab.

3 We found no clinically significant effect of
4 food with Apadaz on bioavailability of hydrocodone
5 or acetaminophen. Furthermore, there was no
6 systemic exposure to the prodrug when taken orally
7 because it's very rapidly converted to hydrocodone
8 in the intestinal tract.

9 As expected for two products that are
10 bioequivalent, there was a similar incidence of
11 adverse events. The most commonly reported adverse
12 events were consistent with what we expect to see
13 in opioid studies, including nausea, drowsiness,
14 and constipation.

15 Because Apadaz was designed to bioequivalent
16 to currently available immediate-release
17 hydrocodone combination products, it was not
18 designed to provide barriers against oral abuse by
19 overconsumption. So as expected, the oral
20 drug-liking scores for Apadaz and Norco were
21 similar.

22 Apadaz was designed to deter non-oral routes

1 of abuse of hydrocodone combination products.
2 Snorting Apadaz led to lower hydrocodone exposures
3 and lower drug liking at early time points compared
4 to Norco. In fact, snorting Apadaz provides either
5 similar or lower drug liking than simply taking
6 Apadaz orally. This is important because abusers
7 won't receive the reinforcement of faster or
8 greater highs. Adverse nasal effects from snorting
9 were also more common and more severe with Apadaz
10 compared to Norco.

11 Apadaz will deter abuse by the IV route
12 because it cannot be effectively extracted for IV
13 injection and because the prodrug converts slowly
14 to hydrocodone in blood. And finally, smoking or
15 vaporizing Apadaz does not release any hydrocodone.

16 With this background in mind, I'll review
17 the agenda for our presentation. Dr. Jeff Gudin, a
18 pain and addiction expert, will share his clinical
19 perspective on the topic of today's meeting. I
20 will return to discuss the Apadaz development
21 program and the results of our tampering studies.

22 Dr. Lynn Webster, vice president of

1 scientific affairs at PRA Health Sciences, will
2 present the results of our clinical abuse-deterrent
3 studies, then I will outline KemPharm's plans for
4 postmarket surveillance and postmarket studies.
5 And Dr. Gudín will conclude the presentation with a
6 discussion on the benefit-risk of Apadaz.

7 Experts from Inflexxion are also available
8 to answer any questions you may have on our
9 epidemiology data. All of our external experts
10 have been compensated for their time and travel
11 expenses.

12 I'll now turn the presentation to Dr. Gudín.

13 **Applicant Presentation - Jeffrey Gudín**

14 DR. GUDIN: Good morning. My name is
15 Jeff Gudín. I'm director of pain management and
16 palliative care at the Englewood Hospital and
17 Medical Center in New Jersey and clinical
18 instructor of anesthesiology at the Icahn School of
19 Medicine at Mount Sinai.

20 My board certifications include
21 anesthesiology, pain medicine, addiction medicine,
22 and hospice and palliative care medicine. My

1 clinical responsibilities include the treatment of
2 patients with pain and addiction disorders. I've
3 published on safe prescribing and appropriate risk
4 management related to opioid analgesics, and I've
5 devoted my career to educating clinicians on
6 strategies to address opioid abuse.

7 The FDA and other federal agencies have led
8 the effort to reduce opioid misuse and abuse. One
9 central part of that effort, as highlighted in a
10 recent FDA editorial published in the New England
11 Journal of Medicine, has been encouraging the
12 development of abuse-deterrent formulations.

13 The Center for Drug Evaluation and Research
14 has said that bringing abuse-deterrent opioids to
15 the market is a priority for the FDA and that the
16 agency looks forward to a time when the majority of
17 opioids on the market have abuse-deterrent
18 properties.

19 We've made some progress in abuse-deterrent
20 technology. As you heard this morning, there are
21 currently six FDA-approved abuse-deterrent
22 extended-release opioids.

1 Abuse deterrence suggests that a medication
2 has been developed in line with FDA guidelines to
3 exhibit properties that could lower, but not
4 totally eliminate, the ability to abuse the
5 formulation. These properties make some routes of
6 abuse like crushing, snorting or injecting either
7 more difficult or less rewarding.

8 None of these abuse-deterrent products can
9 eliminate the most common route of abuse, oral
10 abuse by overconsumption. Although doctors
11 understand that these formulations are not abuse-
12 proof, we know that they play an important role in
13 combating the epidemic. Unfortunately, as of yet,
14 there are currently no approved immediate-release
15 products that are labeled with abuse-deterrent
16 properties.

17 In 2015, there were over 90 million
18 dispensed prescriptions of hydrocodone combination
19 products, which are the most commonly prescribed
20 opioids in the United States. It's, therefore, not
21 surprising that hydrocodone is often the first
22 opioid an individual abuses. An abuse-deterrent

1 formulation of hydrocodone may play a role in
2 preventing the escalation and progression of opioid
3 abuse, especially at early stages.

4 We know from epidemiologic research, as well
5 as clinical experience, that opioid abusers usually
6 start by abusing opioids orally with products like
7 hydrocodone combinations. As tolerance develops
8 and their addiction progresses, it becomes more and
9 more expensive to maintain their abuse habits.

10 Now, what happens next is critical. They
11 start to experiment with more potent opioids or
12 move to more dangerous routes of administration
13 such as snorting, smoking, and injecting in order
14 to maintain their high or to get high faster with
15 less opioid, altering the route offers both
16 euphoric and financial incentives to the abuser.

17 The majority of information we have on abuse
18 of hydrocodone combinations comes from surveillance
19 data collected from drug treatment centers. We all
20 recognize that the data generated from these
21 surveillance systems are not generalizable to the
22 entire population of abusers.

1 For example, most recreational abusers have
2 never been admitted for drug treatment or presented
3 to an emergency room, so these individuals would
4 not be captured by those databases. However, drug
5 surveillance does offer us a window into the extent
6 of abuse and the routes of abuse of opioid
7 products.

8 We know from surveillance data that
9 hydrocodone combination products are widely abused.
10 This figure shows the rate of abuse over the last
11 30 days among adults being evaluated for drug
12 treatment. The blue bar represents hydrocodone
13 immediate-release combination products, orange bars
14 represent immediate-release oxycodone products, and
15 the green bars represent extended-release or long-
16 acting opioids.

17 Drug abuse surveillance data can also give
18 us a picture of how these products are being abused
19 and who is abusing them. This slide shows the
20 rates and routes of hydrocodone abuse in the last
21 30 days among people being evaluated for drug
22 treatment. Adults are shown in yellow and

1 adolescents in red.

2 As with most opioid products, oral abuse was
3 the most common. The second most common route was
4 by snorting, which was reported by about 1 in 4
5 adult hydrocodone abusers and nearly 1 in 2
6 adolescent hydrocodone abusers. This surveillance
7 data didn't come as a surprise to me. They simply
8 illustrate what everyone involved in treating
9 opioid addiction has known for years; many
10 recreational abusers and addicts snort hydrocodone.

11 One of the questions that the FDA has posed
12 to you today is whether snorting is a relevant
13 route of abuse of hydrocodone immediate-release
14 products. As someone who has treated pain and
15 addiction for over 20 years, the answer to this
16 question is clearly, yes.

17 Another important perspective comes from a
18 survey of opioid abusers who actively participate
19 on internet drug abuse forums. This 2015 survey
20 was conducted in order to understand how and when
21 abusers began abusing opioids.

22 The survey found that 3 out of every 4

1 abusers said that hydrocodone combination products
2 were the first opioid they ever abused, and 2 out
3 of every 3 reported their first abuse of
4 hydrocodone combination products was before the age
5 of 18. These are important findings because early
6 experimentation can have an enormous impact on
7 later risk for drug abuse, as well as related
8 psychiatric conditions.

9 While abuse-deterrent formulations are one
10 important component of the response to the opioid
11 abuse crisis, it's important to recognize
12 abuse-deterrent formulations are just that, one
13 component.

14 An effective strategy at reducing abuse
15 needs to be comprehensive. It's also critical to
16 understand that no abuse-deterrent formulation can
17 be abuse-proof, and that's because these products
18 especially must deliver rapid and effective pain
19 relief to the patients who need them like those
20 with acute pain.

21 Although non-opioid strategies may help,
22 opioids are one of the few, if not the only class

1 of drug, effective for severe pain. Considering
2 that there are 90 million prescriptions for
3 hydrocodone combinations annually, and the fact
4 that so many people start abusing these products as
5 children and teenagers, highlights the need for a
6 hydrocodone product with features to interrupt and
7 deter the progression of abuse at its early as
8 possible stage.

9 Thank you for your time. I'll now turn the
10 presentation back to Dr. Mickle.

11 **Applicant Presentation - Travis Mickle**

12 DR. MICKLE: Thank you, Dr. Gudin.

13 In order to characterize the abuse-deterrent
14 properties of Apadaz, KemPharm followed the FDA
15 guidance on the development and evaluation of
16 abuse-deterrent opioids. Our abuse-deterrent
17 studies correspond to the three categories outlined
18 in the FDA guidance.

19 Category 1 refers to laboratory-based in
20 vitro manipulation and extraction studies. Because
21 Apadaz is a prodrug, grinding and crushing the
22 tablet has no impact on its release profile, so

1 there was no need to conduct a thorough evaluation
2 of physical barriers. However, as a prodrug, we
3 went above and beyond what is required by the
4 guidance because we wanted to evaluate the
5 potential of advanced methods to break the covalent
6 bond through hydrolysis to extract hydrocodone.

7 Category 2 studies assess the
8 pharmacokinetics of oral overconsumption and
9 intranasal abuse, and Category 3 studies evaluate
10 pharmacodynamics and human abuse potential among
11 recreational opioid abusers.

12 In each of these studies, we compared Apadaz
13 to a relevant comparator, which was either Norco or
14 a generic equivalent. For the purposes of this
15 presentation, we'll refer to all the comparators as
16 Norco. We'll start with our Category 1 studies.

17 The rationale for the Category 1 tampering
18 studies of an immediate-release hydrocodone
19 combination product like Apadaz is to understand
20 how abusers might manipulate the product to
21 maximize its abusability. Abusers would want to
22 remove the acetaminophen and isolate the

1 hydrocodone for several reasons depending on the
2 route of abuse. These include trying to avoid the
3 risk of liver toxicity, reducing the volume of
4 powder to snort, preparing the drug for injection,
5 or getting the drug ready to freebase or smoke.

6 The goal of an abuse-deterrent formulation
7 is to make manipulation of the product for abuse
8 more difficult and thus less attractive to abusers.
9 Because all abuse-deterrent products need to
10 bioavailable to treat pain, no product can be
11 abuse-proof. However, the willingness of an abuser
12 to manipulate a product is a matter of how much
13 time and effort it takes to get the opioid in an
14 abusable form.

15 In our extraction studies, we used a variety
16 of ingestible solvents and also non-ingestible
17 solvents that an abuser might use. Five of these
18 we considered common ingestible solvents, and we
19 tested both Apadaz and Norco for up to 24 hours.

20 The Y-axis on this slide shows the maximum
21 percentage of hydrocodone extracted in up to
22 24 hours from Apadaz and Norco. As you can see,

1 hydrocodone was almost completely extracted from
2 Norco for these ingestible solvents often reaching
3 peak extraction in a matter of just a few minutes
4 while none were able to extract hydrocodone from
5 Apadaz through 24 hours.

6 The next step might be to try advanced
7 solvents that are not ingestible. Most of the
8 advanced solvents were able to extract an
9 appreciable amount of hydrocodone from Norco,
10 though this is probably irrelevant because full
11 extraction can be achieved with ingestible
12 solvents. The important point here is that none of
13 the advanced solvents were able to extract
14 hydrocodone from Apadaz.

15 Another way that abusers might try to
16 extract would be with advanced buffers of varying
17 pHs. In these experiments, one buffer was able to
18 extract 37 percent of hydrocodone from Apadaz, but
19 this product had an extreme pH that could not be
20 ingested, and it took 6 hours to achieve this
21 limited extraction.

22 We also examined many of the same solvents I

1 just reviewed with heating and continuous agitation
2 to see whether it would increase the amount of
3 hydrocodone extracted from Apadaz or accelerate the
4 release. Sixteen solvents were not effective at
5 extracting any hydrocodone. Approximately 50 to
6 60 percent of hydrocodone could be extracted with 4
7 of the solvents, but it took 4 to 24 hours. Time
8 and complexity serves as an abuse-deterrent feature
9 here.

10 As a prodrug, the covalent bond between
11 benzoic acid and hydrocodone has to be broken to
12 release hydrocodone. Hydrolysis experiments
13 focused on evaluation of strong acids and weak to
14 strong bases at various temperatures.

15 For the sake of time, I won't be covering
16 the results of our hydrolysis experiments in great
17 detail. The results were submitted to the FDA and
18 included in the briefing materials for this
19 meeting.

20 While these studies were necessary to test
21 Apadaz to the limit, these experiments frequently
22 used dangerous chemicals with extreme modifications

1 to temperatures over several hours.

2 Even though the experimental conditions were
3 very extreme, fewer than 20 percent of all samples
4 tested released more than half of hydrocodone from
5 Apadaz. Hydrolysis occurred only under specific
6 conditions related to pH with temperature
7 modifications over an extended period of time.

8 If an abuser were to conduct an effective
9 hydrolysis, considerable additional work would
10 still lie ahead for them in order to obtain
11 abusable hydrocodone since all of these mixtures
12 were not ingestible.

13 Next, I'll turn to the route-specific
14 manipulations where we evaluated how an abuser
15 might prepare Apadaz or Norco for injection or
16 smoking. I'll start with injection where we assess
17 the feasibility of using several of the most common
18 ways that abusers extract hydrocodone and prepare
19 aqueous solutions for injection.

20 We evaluated 164 conditions that an abuser
21 might use to prepare Norco and Apadaz for
22 injection. Thirty-nine of the conditions yielded

1 more than 70 percent of hydrocodone from Norco
2 while only one condition yielded more than
3 70 percent of benzhydrocodone from Apadaz.

4 Apadaz was not designed to resist
5 syringeability. Therefore, the ability to get
6 solutions derived from Apadaz or Norco into a
7 syringe were similar. One of the abuse-deterrent
8 properties of Apadaz against IV injection came from
9 the fact that the inactive prodrug can only be
10 inefficiently extracted and filtered, and no active
11 hydrocodone can be extracted.

12 As I mentioned earlier, the common
13 extraction technique reported on drug abuse forums
14 for small volume extraction to prepare for
15 injection was much less effective for Apadaz. The
16 technique was effective at removing over 80 percent
17 of the acetaminophen from both products. If an
18 abuser attempted this technique with Apadaz, only
19 36 percent of the inactive prodrug would have been
20 extracted. If an abuser attempted the same
21 technique with Norco, nearly 70 percent of the
22 hydrocodone would be extracted.

1 The resulting IV solutions after filtering
2 were still hazy to cloudy. The cloudiness was
3 likely due to the presence of undissolved
4 excipients and acetaminophen because we know that
5 both hydrocodone and benzhydrocodone are soluble at
6 these concentrations.

7 The reason an abuser injects drugs such as
8 cocaine and heroin is to bypass first-pass
9 metabolism so that the opioid will reach the brain
10 more quickly. While injected hydrocodone can bind
11 immediately to opioid receptors in the brain, we
12 wanted to determine how quickly benzhydrocodone
13 breaks down into hydrocodone in blood.

14 In vitro experiments evaluating the
15 stability of the Apadaz prodrug when injected in
16 human blood have shown that the prodrug has a
17 slower rate of conversion to active hydrocodone
18 than simply taking the product orally. These data
19 illustrate that benzhydrocodone converts to
20 hydrocodone much more rapidly in intestinal fluid
21 than in whole blood.

22 So overall, Apadaz can be expected to deter

1 abuse by the intravenous route due to the slow
2 conversion to active hydrocodone in blood and the
3 inefficiencies of preparing it for injection.

4 We also conducted a series of experiments
5 looking at smoking. These experiments show that
6 freebasing Apadaz was not possible. Vaporizing or
7 smoking Apadaz or benzhydrocodone at any
8 temperature did not produce any hydrocodone.

9 To summarize, our Category 1 studies have
10 demonstrated that Apadaz provides substantial
11 barriers against manipulations for the purpose of
12 abuse. Most of the commonly ingestible solvents
13 extracted nearly all of the hydrocodone from Norco
14 in just a few minutes.

15 On the other hand, no active hydrocodone
16 could be extracted from Apadaz from the common
17 ingestible solvents at all time points up to 24
18 hours. Only advanced solvents and buffers could
19 extract considerable hydrocodone but typically
20 required applying heat over several hours.

21 For the route-specific manipulations, we
22 found that preparing Apadaz for IV injection was

1 less efficient than Norco and that prodrug converts
2 only slowly to hydrocodone in blood. Finally, we
3 found that smoking or vaporizing Apadaz was not
4 effective.

5 Now, I'd like to turn the presentation to
6 Dr. Lynn Webster to review our Category 2 and
7 Category 3 studies.

8 Dr. Webster?

9 **Applicant Presentation - Lynn Webster**

10 DR. WEBSTER: Good morning. I'm
11 Lynn Webster, vice president of scientific affairs
12 at PRA Health Sciences. I am board certified in
13 anesthesiology, pain medicine, and addiction
14 medicine, and I am former president of the American
15 Academy of Pain Medicine.

16 The main focus of my research over the last
17 20 years has been the development of safer and more
18 effective products and programs for pain
19 management. I'm pleased to present the clinical
20 abuse deterrence studies for Apadaz.

21 The goal of Category 2 is to evaluate the
22 pharmacokinetic profile of a new formulation versus

1 a comparator for various routes of abuse, and
2 Category 3 assesses the pharmacodynamics.

3 Three studies in the Apadaz development
4 program assessed both Category 2 and Category 3
5 claims. Study A01 evaluated oral abuse. As Apadaz
6 was not expected to deter oral abuse, I won't be
7 covering the results from that study, but the
8 results can be found in the KemPharm's briefing
9 book. I will focus my comments this morning on the
10 two intranasal studies.

11 Study A02 evaluated the intranasal abuse of
12 the tablet formulation and study A03 evaluated
13 intranasal abuse of the acting pharmaceutical
14 ingredients to simulate, the common scenario where
15 an abuser tries to isolate the opioid by removing
16 the acetaminophen.

17 Before I start with the results, I want to
18 provide some background on how and why abusers
19 snort opioid products and how evaluating drug
20 liking for an immediate-release product is
21 different from an extended-release product. First,
22 I'll start with a review of the health consequences

1 of snorting hydrocodone.

2 We know from clinical practice, as well as
3 peer-reviewed literature, that chronic snorting of
4 hydrocodone can lead to severe nasal and facial
5 pain, nasal obstruction, necrosis of the nasal
6 passages, fungal, rhinosinusitis, and septal and
7 palatal perforation.

8 Because taking pills orally is easier than
9 snorting powder, it's important to remember why
10 abusers snort opioids in the first place. Snorting
11 an opioid gets the drug into the system circulation
12 faster than oral abuse by circumventing the GI
13 tract. As a result, an abuser can achieve greater
14 opioid exposure much faster, which in turn produces
15 a faster high.

16 For combination products like Apadaz that
17 contain acetaminophen, it was important to evaluate
18 both of the ways an abuser might snort the product.
19 The first way is simply crushing the tablets and
20 snorting them as is, which was evaluated in the
21 study A02. The second way is snorting after
22 attempting to remove acetaminophen, which is

1 accomplished by using a common tampering method
2 found on drug abuse websites.

3 The procedure reduces the volume the abuser
4 would need to snort and also reduces the potential
5 for liver toxicity. This scenario was evaluated in
6 study A03.

7 Per FDA guidance, the primary endpoint in
8 human abuse potential studies is the maximum drug
9 liking or Emax, which is assessed on a bipolar
10 Visual Analogue Scale. A score of 50 indicates
11 neutral liking and scores above 50 indicate
12 positive liking. The average score in a study is
13 calculated as the average of every subject's
14 maximum drug liking regardless of the time it
15 occurred, so Emax is calculated without regard to
16 time.

17 We're used to seeing these types of
18 endpoints in Category 3 studies of abuse-deterrent
19 extended-release opioids, such as Xtampza, which
20 this committee reviewed late last year. In those
21 studies, the drug liking of a manipulated, abuse-
22 deterrent, extended-release product like crushed

1 Xtampza was compared against a high dose of a non-
2 abuse-deterrent immediate-release comparator like
3 Roxycodone.

4 If dose dumping doesn't occur with the
5 extended-release product, we will see a
6 significantly lower peak opioid exposure compared
7 to the immediate-release product. It's only with
8 these large differences in peak exposure that we
9 would expect to see significant differences in peak
10 drug liking or Emax. This proved to be the case
11 with Xtampza, which resisted dose dumping when it
12 was manipulated.

13 With an abuse-deterrent immediate-release
14 opioid like Apadaz, Emax is a harder concept to
15 apply because both the quantity of the opioid being
16 evaluated, as well as the speed that the opioid is
17 supposed to be delivered.

18 Extended-release products are designed to
19 release large amounts of opioid slowly. However,
20 immediate-release products are designed to release
21 smaller amounts of opioid quickly in order to
22 provide immediate relief for acute pain.

1 Given the fundamental differences between
2 extended-release and immediate-release opioids, the
3 time course of drug liking, particularly at early
4 time points, may be more relevant than Emax, which
5 isn't sensitive to time. Today, I'll be presenting
6 both Emax and the time course of drug liking.

7 Another way to evaluate the abuse potential
8 of an opioid is to evaluate the rate of rise in
9 drug levels using PK data. This is achieved
10 quantitatively using the abuse quotient. There are
11 two factors in calculating the abuse quotient:
12 Cmax or the maximum concentration and the speed in
13 which Cmax is achieved, or Tmax.

14 Displayed here is an example of abuse
15 quotient where there is a rapid rise to Cmax. This
16 would be typical of an immediate-release opioid
17 formulation. Here, the abuse quotient has a value
18 of 100.

19 The black line represents a second example
20 illustrating a typical extended-release formulation
21 that has not been manipulated. It takes longer to
22 reach Cmax, and therefore, the abuse quotient is

1 lower with a score of 15. Manipulating an
2 extended-release product without abuse-deterrent
3 properties would typically convert the black line
4 to the red line.

5 In this third example, if we assume the
6 product in green is another immediate-release
7 product with the same Cmax of 50 but delays Tmax to
8 2 hours, the abuse quotient is 25 compared to 100
9 for the product in red. Therefore, when evaluating
10 two immediate-release formulations, the abuse
11 quotient is an appropriate quantitative comparison
12 of the two drugs. With this background in mind,
13 I'll start by reviewing the results.

14 Study A02 evaluated the intranasal abuse
15 potential of the crushed tablet formulations
16 without removing acetaminophen. This study
17 consisted of two parts. In part A, subjects
18 participated in a dose-selection test that
19 evaluated intranasal administration of doses
20 ranging from 1 to 4 crushed tablets of Apadaz or
21 Norco.

22 It was determined that two tablets was the

1 maximum tolerated dose that could be consistently
2 insufflated and produce reliable drug-liking
3 scores. Therefore, the two-tablet dose was used in
4 part B. Part B evaluated the intranasal
5 bioavailability and drug liking of Apadaz and Norco
6 and included oral dosing for both products for
7 comparison.

8 This chart shows the oral and intranasal
9 hydrocodone PK curves for Norco. You can see that
10 snorting Norco produced its desired effects by
11 considerably increasing the onset of hydrocodone
12 levels compared to oral dosing. For Apadaz,
13 abusers did not achieve faster hydrocodone
14 concentrations by snorting. The Apadaz PK curves
15 for the oral and intranasal routes essentially
16 overlap.

17 In terms of the abuse quotient, when the two
18 products were snorted, the more rapid increase in
19 hydrocodone levels at early time points with Norco
20 translated into nearly double the abuse quotient
21 compared to Apadaz.

22 Drug-liking Emax for not significantly

1 different for snorted Apadaz and Norco. However,
2 as I mentioned earlier, we need to evaluate a drug
3 liking over time for immediate-release products
4 because Emax does not take into account when
5 abusers liked the product.

6 The trends in drug liking over the first
7 2 hours essentially mirrored the pharmacokinetic
8 results. For Norco, the faster onset of
9 hydrocodone concentrations translated into
10 significantly greater drug liking at early time
11 points. On the other hand, drug liking over time
12 was essentially identical for Apadaz via the oral
13 and intranasal routes. Therefore, snorting Apadaz
14 does not give abusers the more rapid high that they
15 would want and expect.

16 In addition, each of the pharmacodynamic
17 measures that evaluated adverse nasal effects found
18 that Apadaz was harder to insufflate than Norco.
19 An ease of insufflation score was administered in
20 subjects in study A02 where zero was scored as very
21 easy to snort, and 100 indicated that the product
22 was very difficult to snort. The ease of

1 insufflation scores were higher for Apadaz compared
2 to Norco.

3 In study A02, we also asked subjects to
4 complete nasal effect assessment score, which was a
5 0 to 33 scale with zero being no effect and 3 being
6 severe. On each of the individual nasal effect
7 subscales, you can see that subjects reported
8 significantly higher burning, pain, the need to
9 blow their nose, nasal irritation, congestion, and
10 discharge.

11 In addition to subjective ratings, we also
12 observed a higher rate of nasal and
13 respiratory-related adverse events when subjects
14 snorted Apadaz compared to Norco. The study
15 documented higher rates of nasal discomfort, nasal
16 congestion, rhinorrhea, and throat irritation.

17 Next, I'll turn to study A03, a comparative
18 intranasal bioavailability study of the APIs for
19 Apadaz and Norco, benzhydrocodone, and hydrocodone
20 bitartrate.

21 Subjects were administered the equivalent
22 amount of the API that would be found in 2 tablets.

1 This reflects a best-case scenario where abusers
2 were able to extract all of the hydrocodone for
3 benzhydrocodone from the tablet formulations by
4 tampering. The population included in this
5 analysis was not enriched using a drug
6 discrimination test to confirm that subjects could
7 discern between active drug and placebo.

8 The fact that the population wasn't enriched
9 with highly discriminant subjects actually made it
10 less likely that the study would find differences
11 in drug liking. Even though current FDA guidance
12 recommends a discrimination phase, these data are
13 informative.

14 Dr. Mickle mentioned that the most common
15 extraction method earlier in the context of IV
16 abuse. However, abusers often use the same
17 tampering method to facilitate snorting as well.
18 Recall that while this tampering method effectively
19 removes over 80 percent of the acetaminophen from
20 both Norco and Apadaz tablets, only 36 percent of
21 the inactive prodrug could be extracted from
22 Apadaz, while 68 percent of the hydrocodone could

1 be extracted from Norco.

2 This means that for an abuser to get
3 equivalent amounts of inactive benzhydrocodone and
4 active hydrocodone, an abuser would need to extract
5 twice as many Apadaz tablets compared to Norco
6 tablets.

7 Despite this practical disadvantage from
8 tampering with Apadaz, the study design for A03
9 assumed a best-case scenario where an abuser was
10 able to remove all of the acetaminophen and extract
11 all drug out of both products.

12 As you can see, the hydrocodone
13 concentrations in the first 4 hours after
14 intranasal administration were considerably lower
15 at early time points for benzhydrocodone compared
16 to hydrocodone bitartrate. Therefore, tampering
17 with Apadaz to try to optimize it for snorting by
18 removing the acetaminophen actually improves its
19 abuse deterrence.

20 Abusers would end up with significantly
21 lower hydrocodone exposure from snorting the
22 tampered product than they would have by just

1 taking the tablets orally.

2 The considerable differences in PK profiles
3 resulted in an abuse quotient that was 5 times
4 lower for snorted benzhydrocodone than snorted
5 hydrocodone bitartrate.

6 In this study, snorted benzhydrocodone had a
7 significantly lower drug-liking Emax compared to
8 hydrocodone bitartrate. The drug-liking results
9 over the first several hours mirrored the study's
10 pharmacokinetics where lower early exposures with
11 Apadaz led to lower drug liking early in the time
12 course of abuse compared to Norco.

13 Study A03 also measured the ease of
14 insufflation. Scores were significantly worse for
15 Apadaz prodrug compared to hydrocodone bitartrate
16 demonstrating that Apadaz is not as easy for an
17 abuser to snort.

18 In summary, the two intranasal clinical
19 studies demonstrate that intranasal abusers would
20 not achieve the rapid highs that they seek from
21 snorting an opioid product.

22 In study A02, we observed essentially the

1 same pharmacokinetics and drug liking via the oral
2 and nasal routes when the tablets were crushed and
3 snorted as is. This was not the case for Norco,
4 where more rapid onset of hydrocodone from snorting
5 led to greater drug liking than the oral route
6 right after administration. Study A03 simulated
7 the case where an abuser successfully removed the
8 acetaminophen using the most common tampering
9 method.

10 The first way that Apadaz will deter
11 intranasal abuse in this scenario is a practical
12 one. The most common method to extract
13 acetaminophen is half as efficient in extracting
14 the prodrug from Apadaz as it is extracting
15 hydrocodone from Norco.

16 However, even when abusers snorted
17 equivalent doses of benzhydrocodone and hydrocodone
18 bitartrate, we observed significantly lower
19 hydrocodone exposures and drug liking with the
20 isolated Apadaz prodrug, and we also found that
21 Apadaz was harder to snort than Norco regardless of
22 whether it was snorted with or without

1 acetaminophen.

2 Taken together, the results of the
3 intranasal studies support that Apadaz has a lower
4 intranasal abuse potential than existing
5 hydrocodone immediate-release combination products.
6 The totality of the data suggests that there is no
7 incentive over the oral route to abuse Apadaz
8 intranasally, by smoking, or IV injection.

9 I'll now turn the presentation back to
10 Dr. Mickle.

11 **Applicant Presentation - Travis Mickle**

12 DR. MICKLE: Thank you, Dr. Webster.

13 The continued study of opioid misuse, abuse,
14 and diversion and the responsible prescribing and
15 postmarket surveillance of Apadaz is a high
16 priority for KemPharm.

17 We understand the dynamic nature of abuse,
18 as well as the discussions over the last two days
19 regarding the monitoring of opioid pain products.
20 We also understand that given the size of the IR
21 opioid space, a different approach to monitoring
22 abuse of a new product, like Apadaz, may be

1 necessary. We will work closely with the FDA and
2 industry experts to design an appropriate program
3 to address these very issues.

4 Initially, we are proposing an epidemiologic
5 approach to postmarket surveillance. We'll design
6 formal epidemiologic studies to evaluate abuse and
7 route of administration patterns for Apadaz in
8 populations considered at high risk for abuse of
9 opioid analgesics.

10 In addition, we'll design market
11 surveillance programs to measure the potential
12 impact that Apadaz has in reducing abuse in the IR
13 market. Additionally, KemPharm will continue to
14 monitor current abuse patterns and trends for other
15 opioids.

16 We'll also continue the market surveillance
17 work that was started during the development of
18 Apadaz with all the opioids, with special emphasis
19 on hydrocodone combination products. We'll add
20 survey data to better understand how and when abuse
21 starts and progresses with IR opioids and abuse-
22 deterrent IR products as they become available.

1 With Inflexxion, we'll conduct a series of
2 examinations using data from the NAVIPPRO system.
3 This will include data from adults assessed for
4 substance abuse treatment in the ASI-MV network,
5 the CHAT database of adolescents, as well as data
6 collected from individuals who frequent and
7 participate in online drug-related discussion
8 forums with the WIS Internet Monitoring tool. As
9 needed, we will collect data from other sources to
10 measure factors beyond the scope of these
11 databases.

12 When we first launched Apadaz, we expected
13 abuse may be low and sporadic as availability and
14 use increases over time. Therefore, regular
15 surveillance monitoring and review of observations
16 of abuse will be necessary. We will conduct two
17 epidemiology research studies to assess the abuse
18 deterrence of Apadaz after approval.

19 The primary study will collect data on the
20 rates and routes of abuse of Apadaz compared to
21 other relevant products among individuals entering
22 or being assessed for substance abuse treatment.

1 In addition, we will conduct a supportive study to
2 monitor and assess what recreational drug abusers
3 are saying about Apadaz on drug abuse forums.

4 I now turn the presentation back to
5 Dr. Gudin.

6 **Applicant Presentation - Jeffrey Gudin**

7 DR. GUDIN: Thanks, Dr. Mickle.

8 I'll be closing the presentation with my
9 appraisal of the benefit-risk profile of Apadaz.

10 As we reviewed earlier, based on these data
11 and other sources, we know that hydrocodone
12 immediate-release combination products are one of
13 the most abused opioids in the United States. Drug
14 surveillance tells us that snorting is the second
15 most common route of abuse of the most commonly
16 abused opioid in the country, hydrocodone.

17 The FDA has asked whether the nasal route of
18 abuse of hydrocodone products is relevant. Both
19 clinical experience and surveillance data show that
20 it is. Snorting is a common route of abuse and may
21 be more common among adolescents.

22 This is a critical point because the

1 majority of lifetime abusers of opioids reported
2 that they began to abuse between the ages of 10 and
3 18 and that hydrocodone combination products were
4 the first opioid they ever abused.

5 Therefore, the introduction of
6 abuse-deterrent properties to hydrocodone
7 combination products may play an important role in
8 deterring the progression of abuse to more
9 dangerous opioids and more dangerous routes of
10 abuse.

11 The data presented this morning indicates
12 that Apadaz has properties that will deter abuse by
13 all of the non-oral routes. The first step to
14 abusing an opioid by any of these routes starts
15 with tampering, physical or chemical manipulations
16 that make the product easier to abuse.

17 One of the unique aspects of a prodrug is
18 that physical manipulations have no impact on the
19 release profile. As you've seen, it's also very
20 difficult to chemically manipulate the product.
21 There are three non-oral routes for which Apadaz
22 can deter abuse. Multiple experiments show that

1 Apadaz cannot be smoked, freebased, or vaporized to
2 release hydrocodone.

3 Apadaz can also be expected to deter abuse
4 by IV injection. Extraction for injection is
5 inefficient and expensive. Also, as you've seen,
6 the prodrug converts much slower to active
7 hydrocodone in blood than in intestinal fluid.

8 The most common route of non-oral abuse of
9 hydrocodone combinations by far is snorting.
10 Snorting crushed Apadaz tablets did not lead to
11 greater hydrocodone levels or earlier drug liking
12 than with oral administration.

13 Apadaz was also found to be harder to snort
14 than Norco as shown by a higher rate of nasal
15 adverse events and subjective ratings of snorting
16 difficulty.

17 KemPharm also studied the situation where an
18 abuser would try to remove the acetaminophen first.
19 The most common procedure for extracting
20 acetaminophen was half as efficient for Apadaz
21 compared to Norco. Even when abusers snorted
22 equivalent amounts of the active ingredients, they

1 got considerably lower exposures and lower drug
2 liking with the Apadaz prodrug than they did with
3 hydrocodone bitartrate.

4 So overall, no secondary route of
5 administration would be more effective than just
6 taking the drug orally. The importance of this
7 abuse deterrence is amplified when we considered
8 that of the 90 million hydrocodone prescriptions
9 written in 2015, none contained any abuse-deterrent
10 properties whatsoever.

11 When considering risks, the clinical data
12 suggest that Apadaz poses no additional safety
13 concerns beyond currently available hydrocodone
14 combinations. It is bioequivalent to currently
15 marketed products so patients can expect the same
16 effective analgesia. There's also no clinically
17 significant effect of food, and there was no
18 systemic exposure to the prodrug after oral
19 administration. Finally, the ligand in the
20 prodrug, benzoic acid, is safe and occurs naturally
21 in berries.

22 We're meeting today in the setting of a

1 prescription opioid crisis, one in which
2 immediate-release opioids are the most commonly
3 prescribed and the most commonly abused. While
4 there are several approved abuse-deterrent
5 extended-release opioid products, there has yet to
6 be approval of any abuse-deterrent
7 immediate-release products.

8 I think we all recognize that solutions to
9 the prescription drug crisis have to be
10 multifaceted. Any approach should include all
11 stakeholders, patients, families, clinicians,
12 pharmaceutical companies, and regulatory agencies.

13 Abuse-deterrent formulations are just one
14 component of the strategy to reduce abuse of opioid
15 products but they're an important component. Like
16 the FDA, clinicians in the pain community are
17 hopeful that all opioids, at some point, will be
18 abuse-deterrent. Right now, for immediate-release
19 hydrocodone combination products, abuse deterrence
20 is a piece that's missing.

21 In light of the fact that Apadaz poses no
22 additional risks beyond existing products and

1 offers several abuse-deterrent features in a class
2 where there are currently none, it is my opinion
3 that Apadaz has a positive benefit to risk profile
4 and ought to be approved with a label that reflects
5 its abuse-deterrent properties.

6 Thank you for your attention. I'll now turn
7 the podium back to Dr. Mickle.

8 DR. MICKLE: That concludes our
9 presentation. We now would be happy to take any
10 questions from the committee.

11 **Clarifying Questions**

12 DR. BROWN: Are there any clarifying
13 questions for KemPharm? Please remember to state
14 your name for the record before you speak. If you
15 can, please direct questions to a specific
16 presenter. Dr. Higgins?

17 DR. HIGGINS: I believe this will be best
18 answered by Dr. Mickle. My question, it relates to
19 the validity of the study methods that are used.

20 When you rely solely on internet data for
21 the study methods, how are we to know that this is
22 actually the ways in which drugs are really being

1 abused?

2 DR. MICKLE: So we don't rely solely on the
3 internet data. That's a source that we use to make
4 sure that we're using methods and methodologies
5 that are grounded in what current practices are.

6 But we use the wealth of information on how
7 these products could be tampered with, as well as
8 just simple organic chemistry, knowing what pHs may
9 break down the bond between hydrocodone and benzoic
10 acid, as well as knowing what the properties are to
11 really push the product to the very limit to make
12 sure it will hold up once it's distributed widely,
13 if approved.

14 DR. BROWN: Mr. O'Brien?

15 MR. O'BRIEN: Joe O'Brien, patient
16 representative. My question is for Dr. Gudin,
17 specifically with referring to slide 15 and 18.

18 In looking at the transition from oral to
19 snorting, clearly, oral is one of the largest
20 intended or unintended abuse that we see among the
21 patient community.

22 In your practice, what do you see

1 within -- and try to categorize the oral? In the
2 briefing documents, it indicated it was just
3 increased volume of pill taking. Is that primarily
4 what you see within that category?

5 DR. MICKLE: I think, Jeff, you
6 can -- Dr. Gudin, you can answer that best.

7 DR. GUDIN: I'll certainly [inaudible - off
8 mic] --

9 DR. BROWN: Could you turn on your
10 microphone, please?

11 DR. GUDIN: Thank you. I'll certainly agree
12 with the surveillance data that oral
13 overconsumption is by far what we've seen in
14 clinical practice as the most common route of
15 abuse.

16 When it comes to the extended-release
17 opioids, we extend that oral overconsumption to
18 include chewing, whereby the misuser will try to
19 tamper with that current delivery system. But with
20 immediate-release products, they're meant to do
21 just that, and that's release immediately.

22 So the purpose of the presentation was not

1 to suggest that intranasal or other routes of abuse
2 are more common. We recognize that oral abuse is
3 the most common, but the fact is that these other
4 routes are common secondary means of abuse.

5 MR. O'BRIEN: I asked the question because
6 as a patient, and as a patient representative and
7 my own anecdotal experience, with it, the real
8 concern is, as you know, there's quite a difference
9 between oral versus the snorting, smoking,
10 injection.

11 The snorting, smoking, injection are
12 intended abusers, whereas the largest population
13 are unintended or uninformed abusers. And I'm
14 curious as to how many they end up in our crisis in
15 terms of adverse outcomes.

16 For example, in my own experience, at the
17 age of 16, after two spine surgeries, which
18 required me to be in bed for nine months in a body
19 cast from my neck to my hips, and then coming out
20 of that -- I was in a state hospital that was a
21 population of several different syndromic, cerebral
22 palsy and others that were there.

1 The practice at that time with adolescents
2 was that the oral abuse was that they would save
3 up -- as a club almost, they would save up their
4 pills during the week that they got, and then they
5 would all gather together in the weekend, and
6 someone who was ambulatory, who had weekend
7 privileges, would go out and get a bottle of vodka
8 or some marijuana. And then the method of choice
9 there to get high was to take those pills, and then
10 you just smoke or to drink the vodka.

11 In a similar environment, as an adult in
12 dealing with a large patient community and in my
13 own experience, those that are using -- whether
14 it'd be extended or IR -- opioids, then the first
15 natural gravitation is not so much for euphoric or
16 for a rapid high, but it is to either extend the
17 pain relief that you're looking for.

18 Because of the stigma of not being
19 considered to be someone who's abusing -- so you
20 don't want to have to go back for additional
21 prescriptions because that's a very negative and
22 difficult process, so that now, you all of a sudden

1 have a glass of wine, or a glass of whiskey, or a
2 glass of whatever, or smoking marijuana again.

3 So while it's different from the adolescent
4 experiences, it's the same thing. And that seems
5 to be the most prevalent form of abuse that we see
6 beyond that. And I was just curious as to how much
7 of that population that's in adverse outcomes are
8 actually those what I would call "unintended" or
9 "uninformed" abusers, not trying to get a rapid
10 high or euphoria, not snorting, smoking,
11 injection -- which are very complicated processes.
12 You want to do that as opposed to the other one,
13 which is very simple.

14 So in your experience, how much of the
15 problem that we have is really those that I
16 categorize as quote "unintended" or "uninformed"
17 abusers?

18 DR. GUDIN: Yes --

19 DR. MICKLE: Sorry. Didn't mean to
20 interrupt. Dr. Gudín, you can certainly chime in
21 here.

22 I think we don't know exactly what those

1 numbers are. That's a difficult number to capture.
2 We certainly do know those that have entered into
3 substance abuse treatment that have claimed within
4 the last 30 days, they have this issue here.

5 So if we can bring up the slide again with
6 the abuse --

7 MR. O'BRIEN: Yes. If you look at
8 slide 18 --

9 DR. MICKLE: Slide 18, that's right.

10 MR. O'BRIEN: Right. Slide 18. Of those
11 that, say, in the 90 percent or the 81
12 percent -- depending on their age -- high amounts
13 of them are doing oral abuse, then how many of
14 those are actually -- their next level -- rather
15 than before they get to snorting, their really next
16 level of abuse is either adding alcohol, or
17 marijuana, or some other combination of things to
18 enhance their --

19 DR. GUDIN: I think it's a valid question,
20 and one of the points during our presentation that
21 we brought up is that these surveillance data are
22 not necessarily generalizable, not only to the

1 recreational, or the abuser, or addiction
2 population, but certainly not to a patient
3 population.

4 So I could tell you from a clinical
5 standpoint, being in the anesthesia/pain management
6 practice for 20 years or so, oral overconsumption
7 and compliance -- I think we're kind of talking
8 about compliance -- is a big and complex issue.

9 Are they seeking additional pain relief or
10 are they indeed seeking some more euphoric issues?
11 And that's something we ferret out, and it's very
12 difficult on a clinical nature on a day-to-day
13 basis.

14 From looking at product-specific
15 differences, I think we recognize that oral
16 overconsumption is an issue. But with the product
17 at hand, there's no additional benefit to using the
18 drug any way other than orally. And I think that's
19 one of the things we were asked to look at.

20 DR. BROWN: Dr. Emala?

21 DR. EMALA: Actually, I have three
22 questions. The first two are for Dr. Mickle in

1 slide 32. I'm very curious about the extraction
2 with Solvent X. At 4 hours, it achieved 60 percent
3 isolation. I think this matches the FDA briefing
4 document of Stress Condition 1.

5 My first comment is that Solvent X is
6 labeled as an advanced buffer, and it's my
7 understanding that it may not be an advanced
8 buffer. It may be a buffer that's easily
9 achievable by people in diverting interest. And
10 the second comment is, was this same solvent tried
11 under stressing conditions 2 as the FDA had asked
12 for some of the additional studies?

13 DR. MICKLE: To the first part of your
14 question, the buffer used in Solvent Z as listed
15 here, we don't agree. We think, in fact, that this
16 is a complex buffer to prepare. We've seen the
17 conditions in which we've prepared this. It uses a
18 very high pH as well.

19 DR. EMALA: I was asking about X, not Z.

20 DR. MICKLE: Oh, X.

21 Could we bring up the buffers and the pHs
22 for the codes, not to show the codes but just to

1 recall my memory?

2 DR. HERTZ: Please don't show those. If you
3 need to jog your memory, maybe you can just look.

4 DR. MICKLE: Yes. Thank you. Take that
5 down and actually get the codes, please.

6 We can get you that information in more
7 detail after the break. I just can't recall
8 exactly what those coded numbers exactly refer to,
9 to answer your question the best.

10 DR. EMALA: And do we know if Solvent X was
11 tried under Stress Conditions 2?

12 DR. MICKLE: I can check that as well.

13 DR. EMALA: Okay. My second question for
14 Dr. Mickle is slide 40, and it also refers to data
15 within the FDA briefing document referring to an
16 experiment that was done with pancreatin, which is
17 an enzymatic attempt to release the active
18 ingredient from the prodrug.

19 It's my understanding from the briefing
20 document of the FDA -- I'm not sure who did this
21 experiment. But 99.9 percent of the active drug
22 appears to be available after a 15-minute

1 pretreatment with pancreatin, which is an enzymatic
2 mixture widely available as a food supplement,
3 widely available and cheap over the internet. And
4 I'm curious whether a consideration of a diversion
5 practice using this formulation of the prodrug was
6 considered.

7 DR. MICKLE: It was. We know that
8 when -- that is actually one of the enzymes
9 responsible for breaking down the prodrug in our
10 own GI tract. So we know that the esterases in the
11 intestinal fluid break this product down completely
12 and within a few minutes. But again, there's no
13 benefit to pre-releasing the product if the intent
14 is just to swallow it.

15 So really, here, you're thinking about,
16 well, what would an abuser do with this particular
17 product? Using the enzymes outside of the body,
18 they would probably snort the product, inject the
19 product, or try to smoke the product. In these
20 particular instances, you still have the enzyme
21 present. You either snort it or inject it with an
22 enzyme present with an unknown effect, or you

1 perhaps try to remove that, just lowering the yield
2 perhaps of what you would get.

3 So there's no tamper-proof method. We think
4 this is a great approach to limiting all of the
5 routes that you've probably seen with other
6 products where they can release very quickly in
7 just a small amount of water or other substances.

8 Here, we're really focused on covering the
9 vast majority of those methods for tampering.

10 DR. EMALA: Thank you.

11 My final question is for Dr. Webster in
12 slide 68. And in slide 68, the mean drug-liking
13 scores are displayed comparing the oral and snorted
14 route for Norco versus Apadaz.

15 It seems to me, with the discussion of both
16 of these A02 and A03 studies, that the critical
17 question was -- at an early time point, was the
18 drug liking more desirable, snorting one versus the
19 other?

20 So I think an interesting comparison would
21 be Norco snorted versus Apadaz snorted at an early
22 time points, say, 30 minutes. And as I look

1 at -- I'm sorry, my mistake. It's slide 58. I'm
2 sorry.

3 So looking at the snorted Norco versus
4 snorted Apadaz, for example, at 30 minutes, I think
5 we're looking at a difference in drug-liking scores
6 of about roughly 72 for Norco and 63 for Apadaz at
7 30 minutes.

8 So I'm curious if a statistical analysis was
9 done since this seems to be the take-home message
10 of whether Apadaz offers an advantage, at an early
11 time point, of snorting over the non -- the
12 different formulation.

13 DR. MICKLE: So let me just make sure I
14 understand your question so I can answer it best.
15 You want to know if there are statistically
16 significant differences between Norco intranasal
17 and Norco -- or Apadaz intranasally administered
18 for the drug liking at early time points?

19 DR. EMALA: Correct.

20 DR. MICKLE: So this study, study A02, as
21 you can see here, there was statistically
22 significant differences of intranasal Apadaz versus

1 Norco up through what appears to be 2 hours, the
2 mean differences here -- and this is looking at
3 area under the effect curve differences.

4 DR. EMALA: But area under the curve kind of
5 gets away from the point that's being made about a
6 rapid high. So if we look at area under the curve
7 for several hours, that gives a very different
8 message than the rapid high of the intranasal
9 route. So I think it's important to look at early
10 time points and not just area under the curve.

11 DR. MICKLE: Sure.

12 Do we have that data? We can get that for
13 you as well after the break.

14 DR. EMALA: Thank you.

15 DR. BROWN: Dr. Michna?

16 DR. MICHNA: Ed Michna, Brigham and Women's
17 Hospital. I have a few questions, one for
18 Dr. Gudin.

19 On slide 14 and 15, the assumption is that
20 this technology will somehow prevent that
21 progression. My question is, is there any evidence
22 in the literature to suggest, by manipulating a

1 drug in this manner, that you actually have any
2 effect on this progression, or people just go to
3 other drugs like heroin, or oxycodone, or whatever
4 to inject?

5 DR. MICKLE: I think that's part of the
6 intent by what we meant by progression, is
7 progression not just with the particular drug to
8 the other routes of administration, but those early
9 exposures and perhaps experimentation with some of
10 these more dangerous routes might inform them of
11 how to abuse other products.

12 So we did some early work. And there's not
13 a lot of literature on this, so we actually had to
14 work with Inflexxion on how to generate data here.
15 We conducted a survey of hydrocodone abusers.

16 These are individuals who felt that
17 hydrocodone abuse had an impact on their life; it
18 somehow influenced how they abused other products.
19 And when they were asked, you know, between the
20 products, would you swallow it whole or snort it,
21 depending on the age of when they started, they
22 answered with, "Well, I snort more products --" and

1 this may not be currently just hydrocodone products
2 that they snort but, I snort more products; I smoke
3 more products; or, I inject more products.

4 So we don't know the answer. I think by
5 looking at survey data, we're able to get out at
6 least some hints that's possible. But there's
7 certainly no definitive data, yet, that progression
8 of abuse can be stopped like this.

9 DR. MICHNA: Right. But that was a major
10 point that you were trying to make there.

11 My other question is on slide 40, on the
12 blood study, what temperature was that conducted?
13 Was it at body temperature or --

14 DR. MICKLE: It was. It was actually whole
15 blood -- fresh whole blood, was held at 37 degrees
16 Celsius and put on a rocker so it was maintained;
17 it wouldn't coagulate.

18 DR. MICHNA: Was there any studies done
19 where people would extract their own blood, expose
20 it to this drug in whatever form, and then reinject
21 it?

22 DR. MICKLE: We did not do studies in humans

1 with this product.

2 DR. MICHNA: My other question is, are there
3 esterases in human saliva?

4 DR. MICKLE: There are esterases, and
5 they're throughout your body. I mean almost every
6 cellular system has them.

7 What we've seen is that esterases that are
8 most effective -- actually, the only ones we've
9 been able to find that break it down are the
10 intestinal esterases and the family and host of
11 esterases related to that.

12 So the other enzymes throughout the body,
13 the other esterases, actually don't break down the
14 prodrug to hydrocodone.

15 DR. MICHNA: So do you have data on human
16 saliva and its exposure in terms of extraction?

17 DR. MICKLE: Sorry? What was the question
18 again?

19 DR. MICHNA: Do you have data on the
20 exposure of this drug to human saliva in terms of
21 extraction?

22 DR. MICKLE: No. We just looked at singular

1 enzymes, enzymes that are typically found in those
2 systems.

3 DR. MICHNA: And my final question is on
4 slide 58. It looks like your intact oral product,
5 when compared to Norco is actually more likeable.
6 I don't know if that's a statistical significant
7 difference in the half-hour time frame.

8 It looks like people liked it a lot better
9 early than Norco when it's taken orally.

10 DR. MICKLE: Can we bring up the other slide
11 that shows the oral-oral and intranasal-intranasal?

12 DR. MICHNA: So if you look at the
13 half-hour, it looks that the likeability is much
14 higher in the oral Apadaz versus the Norco. I'm
15 not sure if that's statistically significant, but
16 it looks like it.

17 DR. MICKLE: Yes, so we looked at this both
18 way. And it could be just, again, the trick of the
19 eye and, trying to look across two different
20 graphs. In this particular case, there was no
21 statistical difference between liking, really, at
22 any time point of oral-oral Apadaz and Norco.

1 DR. MICHNA: Okay. Thank you.

2 DR. BROWN: We're going to take a break for
3 15 minutes. Panel members, please remember that
4 there should be no discussion of the meeting topic
5 during the break amongst yourselves or with any
6 other member of the audience. We'll resume at
7 11 o'clock. And those folks that are on the list
8 to ask questions, we will get back to those
9 questions at a later time.

10 (Whereupon, at 10:46 a.m., a recess was
11 taken.)

12 DR. BROWN: If we could get started. We're
13 now going to proceed with the FDA presentations.
14 We will move to the remainder of the clarifying
15 questions from the sponsor's presentation after FDA
16 presentations.

17 **FDA Presentation - Benjamin Stevens**

18 DR. STEVENS: My name is Ben Stevens. I'm a
19 chemistry reviewer in the Office of New Drug
20 Products at the FDA. And today, I'll be speaking
21 about the FDA's interpretation of the in vitro
22 abuse-deterrent studies carried out by the

1 applicant for NDA 208653, KP201 acetaminophen
2 tablets.

3 Just a brief overview of this presentation,
4 the presentation will focus on interpretation of
5 key data from a subset of the in vitro studies
6 carried out to evaluate the abuse deterrence of
7 this product. The goal of this presentation is to
8 give the advisory committee an understanding of any
9 open questions or potential liabilities associated
10 with the proposed abuse-deterrent features of this
11 product.

12 Throughout this presentation, we'll be using
13 several abbreviations. The definitions are
14 provided here. HB stands for hydrocodone
15 bitartrate. HC stands for hydrocodone. KP201 is
16 benzhydrocodone hydrochloride. LV stands for large
17 volume, which is greater than or equal to
18 50 milliliters. SV stands for small volume, which
19 is greater than or equal to 3 milliliters. And
20 these two bottom abbreviations will be used in the
21 context of some of the extraction size I will
22 discuss.

1 This is an overview of the studies, which
2 we'll address in this presentation. The first set
3 of studies that we'll discuss are large volume
4 extraction studies, a limited number of large
5 volume extraction studies. And what you'll see
6 from these studies is that, in certain cases,
7 acetaminophen can be more effectively separated
8 from KP201 than from the comparator product
9 hydrocodone bitartrate acetaminophen under certain
10 conditions.

11 We'll then move to a discussion of the
12 hydrolysis of the KP201 prodrug and show that under
13 certain simple and nontoxic conditions, KP201 can
14 be, in fact, hydrolyzed quite effectively.

15 We'll then discuss the solubility of KP201
16 versus hydrocodone bitartrate and discuss the
17 solubility in the context of its proposed
18 abuse-deterrent features.

19 We'll move then to the discussion of some
20 small volume extraction studies, which were
21 designed to simulate the preparation of injectable
22 solutions, and show that the results in these

1 solution preparations indicate that the extraction
2 efficiency of KP201 and hydrocodone bitartrate are
3 similar, and that overall, the concentrations of
4 either of these two active agents in these
5 simulated solutions are very low.

6 Finally, we'll move to a discussion of the
7 results from simulated smoking studies, which show
8 that both KP201 and hydrocodone have similar
9 volatility and also that, overall, there's very low
10 levels of hydrocodone that are obtained in vapors
11 from smoking studies of the reference product,
12 hydrocodone bitartrate acetaminophen tablets.

13 So this was discussed previously in the
14 applicant's slides. We'll just, again, summarize
15 the proposed mechanism of abuse deterrence here.
16 So again, KP201 is a prodrug of hydrocodone. The
17 intact prodrug itself is a weak opioid receptor
18 agonist, and therefore in order for it to act
19 in vivo, it must be cleaved to the active agent,
20 which is hydrocodone.

21 The applicant also indicates in numerous
22 locations in the NDA that the solubility of the

1 KP201 prodrug is very low when compared to
2 hydrocodone, and that this offers an advantage in
3 the sense that it can be more difficult to
4 manipulate this product or administer it by
5 non-oral routes of administration.

6 So as was also noted previously, physical
7 manipulation studies were not carried for this
8 particular product, and that's because of the fact
9 that unlike many of the other abuse-deterrent
10 products that we're all used to looking at, there
11 is no formulation-based deterrent features in this
12 product, so there was no need to carry out these
13 extensive of crushing or grinding studies.

14 One thing that was examined was the particle
15 size for the crushed tablets for either KP201
16 acetaminophen tablets or the comparator in order to
17 show that those two profiles were similar when used
18 in the extraction studies, and in fact, they were.

19 So at this point, we'll switch to a
20 discussion of the first large volume extraction
21 study. And what you'll see in this study is that
22 acetaminophen can actually be selectively extracted

1 away from KP201 prodrug under certain conditions,
2 whereas for hydrocodone bitartrate, in fact, it's
3 more challenging under certain conditions to
4 separate this from acetaminophen.

5 So what you're looking at in this chart is a
6 time course extraction study using the large volume
7 extractions that were discussed previously in the
8 closed session. We're describing this extraction
9 for Common Solvent X using non-stressing
10 conditions. And in this chart, you're seeing the
11 percent label claim of hydrocodone that's being
12 extracted from either the KP201 acetaminophen or
13 hydrocodone bitartrate acetaminophen tablets, which
14 were either crushed or intact.

15 You can see under these conditions that
16 hydrocodone is rapidly and effectively extracted
17 using Common Solvent X, but for KP201, the prodrug
18 does remain intact under these conditions, hence
19 very low levels of hydrocodone are observed in
20 solution. It is important to note -- and there was
21 a question about this solvent earlier,
22 Solvent X -- that Solvent X is safe and potentially

1 injectable and ingestible and potentially relevant
2 for IV use.

3 This second slide is still the same study.
4 We're looking again at Common Solvent X, non-
5 stressing conditions. But now, we're looking at
6 the percent label claim of KP201 that's being
7 extracted into solution. And again, because of the
8 fact that we're looking at KP201 levels, you don't
9 see the comparator being examined under these
10 conditions.

11 Under these conditions, what you can see is
12 that no KP201 is going into solution. So KP201
13 remains behind with whatever solid components from
14 the drug product excipients that are also not
15 extracted into solution. So it remains behind as a
16 solid.

17 Finally, in this last slide, again, the same
18 study, now we're looking at extraction of
19 acetaminophen into solution with Common Solvent X.
20 We now see that for either drug product,
21 acetaminophen is quite effectively extracted and
22 rapidly extracted into solution.

1 So if you take these three slides together
2 and what the data implies, what it's implying is
3 that under these conditions, which are potentially
4 quite relevant, we can selectively partition away
5 KP201 under these conditions and leave it behind as
6 a solid, whereas hydrocodone bitartrate and
7 acetaminophen tend to go into solution together.
8 And I think that's an important factor to note.

9 What you'll see now, we're moving to large
10 volume extractions, study 2, is that under certain
11 other conditions, the exact opposite trend can be
12 observed.

13 This is Solvent O, again, under
14 non-stressing conditions. We're starting again
15 with the percent label claim of hydrocodone that's
16 extracted. You could see that under these
17 conditions, only very small levels of hydrocodone
18 are extracted from the reference product, only a
19 maximum of about 30 percent. And meanwhile, as in
20 the first case, the prodrug is intact under these
21 conditions, so we see no hydrocodone being obtained
22 from KP201 tablets.

1 It's important to note that Solvent O is, in
2 fact, a toxic solvent although it is quite
3 volatile. So it would have to be evaporated prior
4 to use of anything that was extracted out of it for
5 further manipulation or administration.

6 Again, continuing on with the same study,
7 we're now looking again at Common Solvent O, now,
8 the KP201 extraction levels. And you can see,
9 essentially in complete opposite form of the first
10 study, that now we're getting very rapid and very
11 efficient extraction of KP201 into solution, nearly
12 100 percent of the label claim essentially at the
13 first time point, which again is completely the
14 opposite of what we saw in the first case.

15 Then finally, when we look at the
16 acetaminophen levels extracted by Common Solvent O
17 for either drug product, you can see now that
18 acetaminophen isn't extracted for either drug
19 product and remains as a solid behind.

20 So what you're seeing here is essentially
21 the opposite. Now, with these solvents, we can
22 obtain KP201 essentially in pure form and leave

1 behind acetaminophen, whereas for the other set of
2 conditions, we could obtain very rich KP201 in the
3 solids that were left behind from the extraction.

4 At this point, we'll now move on to
5 discussion of the hydrolysis of KP201. And again,
6 this is under large volume extraction conditions.
7 I do want to point that there was an error in the
8 coding here, so this refers to Common Solvent G,
9 which in the applicant's closed session materials
10 is actually different from the way that FDA is
11 referring to Common Solvent G. So please look at
12 the FDA background to know what Common Solvent G is
13 because it's very important to the interpretation
14 of this data.

15 So again, Common Solvent G, we're now
16 looking at another one of these extraction studies,
17 Stressing Conditions 2. Common Solvent G and
18 Stressing Conditions 2 were both requested by the
19 FDA after initial review of the application
20 material and the data were subsequently provided in
21 the response and information request. In Solvent G
22 in Stressing Conditions 2 -- Solvent G is safe, is

1 readily injectable and ingestible, and is
2 potentially highly relevant for IV use.

3 What you can see here is that under these
4 conditions, we're now seeing almost 80 percent of
5 the prodrug being processed in the hydrocodone,
6 although it is noted that it takes some time, about
7 3 hours to reach optimal yield. But the key thing
8 to take away from this slide is that, again, these
9 are not optimized conditions but that there are
10 safe and relevant conditions that can be used to
11 process this prodrug into hydrocodone prior to
12 administration.

13 The next two slides will address another
14 factor, which is I think of importance when looking
15 at these studies, which is the fact that the
16 hydrolytic behavior of this prodrug is actually
17 very sensitive to the conditions, which have been
18 examined during the study.

19 So what you're seeing in this first slide
20 again is another one of these hydrolysis/large
21 volume extractions studies, which was in the
22 original application using Common Solvent A under

1 Stressing Conditions 1, which were, again, in the
2 original application. And we're looking at the
3 hydrocodone levels for either drug product under
4 these conditions.

5 As you can see, under these conditions,
6 hydrocodone is rapidly extracted from the reference
7 product, but the prodrug is not cleaved to any
8 appreciable degree. So KP201 is remaining intact.

9 So after review of this data, the FDA,
10 again, requested some additional studies to be
11 carried out, now using Common Solvent F. And
12 again, like in the previous situation, there was a
13 coding error here, so Common Solvent F is different
14 in the applicant's material from the FDA's
15 material, so please look at the FDA material to
16 understand what Common Solvent F is.

17 Now, using Stressing Conditions 2,
18 Common Solvent F and Common Solvent A, which were
19 in the last slide, there's a very, very small
20 difference between these two solvents. And in
21 Stressing Conditions 2, which were requested by
22 FDA, are only very slightly different than the

1 Stressing Conditions 1 that were used in the
2 original study.

3 What you can see here is now we're starting
4 to see some level of hydrolysis of KP201 to
5 hydrocodone, although it is noted that this does
6 take an extended amount of time. But they key
7 message here is that, again, the results from these
8 studies can -- very small changes in parameters can
9 result in very different outcomes and the ability
10 to hydrolyze this prodrug. And the reason why we
11 address this particular study is because of the
12 very significant importance of these two solvents
13 when interpreting the overall stability of the
14 prodrug. So I definitely encourage you to look at
15 the conditions that are being used for these
16 studies.

17 We'll now move into a short discussion
18 regarding the solubility of KP201, which in the
19 previous slide, I indicated that the applicant has
20 made it clear that one of the things that they look
21 at as being advantage of this product is that it
22 has a substantially lower solubility than

1 hydrocodone bitartrate.

2 Although the data that was obtained for
3 solubility was quite variable for these two drug
4 substances, it is conclusively -- it is able to be
5 concluded that, in fact, KP201 is significantly
6 less soluble than hydrocodone bitartrate.

7 Depending on the conditions, you can see a
8 difference between about 10-fold or up to about a
9 thousand-fold difference in solubility, so it's
10 quite variable.

11 Probably more important than the intrinsic
12 solubility of these two drug substances, however,
13 is the fact that the KP201 solubility profile is
14 far more variable than that for hydrocodone
15 bitartrate. So hydrocodone bitartrate tends to
16 stay quite consistent across various conditions,
17 whereas KP201, its solubility behavior changes
18 quite a lot depending on the conditions that you're
19 looking at.

20 We've already seen in some of the previous
21 slides how in certain instances, that can be used
22 to an advantage of an abuser to selectively

1 partition this away from acetaminophen. But what
2 you'll see in the next slide is that in certain
3 cases, you can actually circumvent this solubility
4 effect on the hydrolysis rate.

5 So again, another large volume
6 extraction/hydrolysis study looking at now
7 hydrolyzing Solvent 18 under Non-Stressing
8 conditions, we're looking at the hydrocodone being
9 extracted just from KP201 tablets in this instance.
10 And in this situation, you see very low levels of
11 hydrocodone being processed from the prodrug. So
12 under these conditions, hydrolyzing Solvent 18 is
13 only modestly affected for this transformation.

14 However, we now see in this next slide the
15 addition of Solvent C. We're still using
16 hydrolyzing Solvent 18, so the same hydrolyzing
17 agent, but we've added an additional solvent in.
18 And Solvent C is not a hydrolyzing agent. It's
19 just simply a solvent. And what you see now is
20 under these conditions, a lot more hydrolysis of
21 the prodrug. Although again, to get the optimal
22 yields, it is noted that it does take an extended

1 period of time.

2 What I think is key to address here is the
3 fact that this solubility effect is very likely
4 what was causing the slow rate of hydrolysis in
5 that previous study, and that there are ways to get
6 around this sort of issue; and that, furthermore,
7 Solvent C and hydrolyzing Solvent 18 are both
8 widely available, safe for oral or injectable use,
9 and overall are commonly available to abusers. So
10 this is quite relevant to potential manipulations
11 that would be carried out.

12 So we'll now move into discussion of some of
13 the small volumes extractions carried out by the
14 applicant to investigate the feasibility of
15 preparing injectable solutions of either KP201 or
16 hydrocodone bitartrate from their respective drug
17 products. And as was discussed previously, the
18 applicant looked at a wide variety of conditions
19 for this.

20 But in order to facilitate our analysis for
21 this slide, what we've done is essentially grouped
22 all these conditions into two sets of -- two

1 classes of conditions. And the only difference
2 between these classes -- there's a lot of
3 differences, but there's one main difference
4 between these two classes, which pertains to a
5 single parameter, which differentiates them.

6 What you see, there are two take-away
7 messages here. First of all, these are optimized
8 conditions. And you can see that using the
9 optimized conditions, ultimately, there isn't a
10 very significant difference between the percent
11 extraction of either the KP201 acetaminophen or the
12 hydrocodone bitartrate acetaminophen tablets.

13 So for example, at the highest levels, you
14 can see for KP201, we're at 72-percent extraction,
15 whereas for the hydrocodone comparator, we're
16 seeing about 79 percent. So overall, once these
17 procedures have been optimized, there's not a very
18 big difference in extraction efficiency.

19 One thing that's more important to note
20 probably is the fact that there are some conditions
21 under which KP201 is significantly less efficiently
22 extracted than hydrocodone bitartrate. And as you

1 can see here, this tends to trend with the fact
2 that the extraction conditions are being carried
3 out under Conditions 2.

4 So this is really not a representative
5 behavior. It's more of a solvent class effect or
6 conditions class effect, and that overall, the
7 extraction efficiencies of these two drug
8 substances are probably quite comparable.

9 So following on the results of these small
10 volume extractions studies, as was also previously
11 mentioned, the syringeability of the solutions made
12 for either of these two drug products were
13 essentially comparable, which is not unexpected
14 given the nature of the formulation.

15 A very important aspect to note here is the
16 fact that using these optimized small volume
17 extraction conditions, it ultimately required
18 multiple steps and still resulted in solutions that
19 had very low levels of KP201 and hydrocodone
20 overall.

21 So for KP201, the range using the optimized
22 procedures was anywhere between 0.22 to 2.6 mg per

1 mL, and for hydrocodone, it was about 2.9 to 3.6 mg
2 per mL.

3 So the key question that emerges from this
4 is really whether or not -- using solutions like
5 this, you might be expected to have to deliver
6 larger volumes of solution in order to get the
7 desired effect.

8 So really, the extent to which drug abusers
9 might use such procedures or inject multiple
10 milliliters of this solution -- even for the
11 reference product, not just for the KP201
12 acetaminophen tablets, but also for the reference
13 product -- are unknown at this point in time, and
14 that I think is an important factor to address.

15 So moving on to our final study slide, we're
16 now looking at data from the smoking studies of
17 KP201 acetaminophen or hydrocodone bitartrate
18 acetaminophen tablets and also their freebase
19 forms.

20 Several important things to note. As was
21 pointed out earlier, smoking studies, the simulated
22 smoking studies of the KP201/APAP tablets lead to

1 no measureable levels of hydrocodone. However,
2 when you look at the data that was obtained from
3 the reference product, you see that we're only
4 seeing 4.7 percent of hydrocodone collected from
5 the vapors using the reference product.

6 So the question really then becomes, even
7 for the reference product, does smoking directly
8 using this particular combination product or a
9 fixed-dose combo, even a feasible or reasonable
10 route of abuse?

11 Again, another thing to point out is that
12 once we compare the freebase forms of these two
13 drug substances, KP201 freebase or hydrocodone
14 freebase, the volatilities do compare to be
15 comparable. So while hydrocodone isn't being
16 formed during the smoking, they are being
17 volatilized at similar levels when you look at the
18 freebase forms.

19 So at this point, I'll turn over to the
20 conclusions. KP201 may be more efficiently
21 separated from acetaminophen using common
22 conditions that in some cases are safe when

1 compared to hydrocodone bitartrate acetaminophen.
2 There are mild, safe, and relevant conditions that
3 exist for hydrolysis of the KP201 prodrug to
4 hydrocodone, although it is noted that optimization
5 of the conditions may require extensive abuse, or
6 experimentation, or longer processing times to get
7 the optimal yields.

8 The low solubility of KP201, which is
9 proposed as an abuse-deterrent feature, may in fact
10 help to reduce the rate of hydrolysis of the
11 prodrug under certain conditions, but, in fact,
12 this advantage can be limited based on the use of
13 certain safe and relevant co-solvents.

14 In general, the prepared small volume
15 extraction IV injectable solutions of KP201 and
16 hydrocodone have comparable concentrations. And
17 although it is noted that extraction efficiency for
18 KP201 may be reduced using certain classes of
19 solvents or conditions, very importantly, the KP201
20 or hydrocodone levels obtained in the extractions
21 of these two products under these small volume
22 extraction conditions are very low.

1 Therefore, there's question as to the extent
2 of which these procedures or whether these
3 solutions at these low concentrations would be used
4 by abusers.

5 Finally, hydrocodone was not recovered from
6 smoking experiments of KP201 acetaminophen.
7 However, the two drug substances, when they're in
8 their freebase form, do appear to have similar
9 volatility. And probably more importantly is this
10 aspect that even when the reference product is
11 looked at, we're still only seeing 4.7 percent of
12 hydrocodone emerging from these simulated smoking
13 studies of the reference product. So the question
14 as to whether or not smoking of the reference
15 product is a feasible route of administration or
16 abuse is really, I think, an open question.

17 So at this point in time, I'll turn it over
18 to my colleague, Jim Tolliver in CSS, to present
19 the FDA's interpretation of the clinical studies
20 that were carried out for KP201 acetaminophen.

21 **FDA Presentation - James Tolliver**

22 DR. TOLLIVER: Good morning. My name is

1 James Tolliver. I'm a pharmacologist for the
2 controlled substance staff within the Office of the
3 Center Director, Center for Drug Evaluation and
4 Research at the FDA.

5 I will briefly discuss two human abuse
6 potential studies, KP201.A01 and KP201.A02, as well
7 as clinical study, KP201.A03, all submitted as part
8 of the abuse-deterrent assessment for KP201/APAP
9 tablets under NDA 208653.

10 For the purpose of this presentation, I will
11 use the term "KP201" to refer to benzhydrocodone
12 hydrochloride, "APAP" to refer to acetaminophen,
13 and "KP201/APAP," and not Apadaz, to refer to the
14 product under development.

15 The pharmacodynamic measures I will discuss
16 include the Visual Analogue Scales, abbreviated
17 VAS, or drug liking, high, and take drug again.
18 The drug-liking VAS, the primary measure is used to
19 assess at-the-moment drug liking. Subjects were
20 asked, "Do you like the effect you are feeling
21 now?" The response is documented on the 0 to
22 100-millimeter bipolar scale anchored on the left

1 by zero, strong disliking; at the center by 50,
2 neither like or dislike; and on the right by 100,
3 strong liking.

4 High VAS, the assessment of euphoria, uses a
5 0 to 100-millimeter unipolar VAS scale with anchors
6 on the left of zero equals to none, and on the
7 right by 100, extremely. Subjects are asked to
8 respond to the question, "How high are you now?"

9 Take drug again VAS is an overall global
10 assessment taken at 12 and 24 hours post-dosing.
11 The specific question asked is, "Would you want to
12 take the drug you just received again if given the
13 opportunity?" It is rated over bipolar VAS scale
14 anchored on the left by zero, definitely would not;
15 in the center by 50, do not care; and on the right
16 by 100, definitely would.

17 Pharmacodynamic parameters will include the
18 maximum effect, designated Emax; the time to
19 achieve maximum effect, designated TEmax; and the
20 area under the effect curve for selected intervals
21 post-dosing.

22 The primary endpoint for both abuse

1 potential studies is Emax of drug liking,
2 statistical analyses of pharmacodynamic measures
3 were conducted by the FDA CDER Office of
4 Biostatistics utilizing the mixed effects model
5 with treatment period in sequence as fixed effects
6 and with subjects as a random effect. Tests were
7 one-sided with an alpha of 0.025.

8 To test treatment differences, the null
9 hypothesis consisted of the mean of Norco, the
10 positive comparator, minus mean of KP201/APAP by
11 less than or equal to zero.

12 For studies KP201.A01 and KP201.A02, the
13 validity of each of these measures was established
14 using the null hypothesis of the mean Emax of Norco
15 minus that of placebo is less than or equal to 15
16 for drug liking and take drug again, and less than
17 or equal to 30 for high.

18 For purposes of examining pharmacokinetic/
19 pharmacodynamic relationships, I will limit my
20 discussion to the pharmacokinetics of plasma
21 hydrocodone following active treatments and rely on
22 statistical analysis conducted by the sponsor using

1 least square geometric mean ratios with
2 corresponding 90 percent confidence intervals.

3 Pharmacokinetic parameters will include
4 maximum plasma hydrocodone concentrations, Cmax,
5 time to Cmax, namely Tmax, and the area under the
6 plasma hydrocodone concentration
7 curve -- concentration versus time curve,
8 abbreviated AUC, as selected intervals post-dosing
9 and representing cumulative drug exposure.

10 Study KP201.A01 is a randomized,
11 double-blind, placebo-controlled single-dose 7-way
12 crossover study having the primary objective to
13 determine the abuse potential KP201/APAP tablets
14 relative to Norco tablets when administered orally
15 to non-dependent recreational opioid users.

16 Each KP201/APAP tablet contains
17 6.67 milligrams of KP201 and 325 milligrams of
18 APAP. Each Norco tablet contains 7.5 milligrams of
19 hydrocodone bitartrate and 325 milligrams of APAP.
20 Sixty-two subjects comprised completer population.

21 In this study, 3 doses of each product were
22 evaluated, comprised at a low dose of 4 tablets,

1 medium dose of 8 tablets, and high dose of 12
2 tablets. The low, medium and high doses of
3 KP201/APAP roughly correspond to 26, 56, and
4 80 milligrams of KP201, respectively. Low, medium,
5 and high doses of Norco corresponded to 30, 60, and
6 90 milligrams of hydrocodone bitartrate,
7 respectively.

8 Provided here is the mean plasma hydrocodone
9 concentration as a function of time following low,
10 medium, and high doses of KP201/APAP and Norco.
11 Note that dose-dependent increase in hydrocodone
12 plasma levels with much of a rise occurring over
13 the first 30 minutes post-dosing. Medium Tmax is
14 about 1 hour for all treatments.

15 For the medium and high treatments, but not
16 the low treatment, total systemic hydrocodone
17 exposure over the first hour, as reflected by Cmax
18 and area under the curve from 0 to 1 hour, was
19 statistically significantly lower for KP201/APAP
20 compared to Norco.

21 This slide provides the mean time course
22 profile for drug liking following oral treatments

1 with the low, medium, and high doses of Norco and
2 KP201/APAP. At similar dosage levels, there was a
3 general overlap between Norco and KP201/APAP.

4 For all active treatments, most of the rise
5 in mean drug-liking response occurs within the
6 first hour at each dosage level as reflected in the
7 area under the effect curve versus time curve.
8 Cumulative mean drug liking was not statistically
9 significantly lower following KP201 compared to
10 following Norco.

11 So the lower initial plasma hydrocodone
12 exposure following medium and high oral doses of
13 KP201/APAP compared to oral Norco did not translate
14 to a lower level of drug liking over the first
15 hour.

16 This slide provides the mean time course
17 profile for high VAS following oral treatments with
18 a low, medium, and high doses of Norco and
19 KP201/APAP. At similar dosage levels, there was a
20 general overlap between the Norco and KP201/APAP.
21 For all active treatments, most of the rise and
22 mean high occurs within the first hour.

1 Within each dosage level, there are no
2 statistically significant reductions in the
3 cumulative high experience over the first hour
4 following KP201/APAP compared to following Norco.

5 So again, we see a similar situation
6 observed for drug liking, namely that the early
7 reduction in hydrocodone exposure following medium
8 and high doses of KP201/APAP were not accompanied
9 by, were not associated with, a reduction in high
10 as compared to that evoked by medium and high
11 Norco.

12 This slide provides the mean standard error
13 Emax of drug liking, high, and take drug again for
14 all treatments. Note that for drug-liking VAS and
15 high VAS, there are dose-dependent increases in
16 Emax following KP201/APAP and Norco treatments.
17 For drug liking, high, and take drug again, when
18 comparisons are made within each dosage level, the
19 mean scores are numerically very similar and not
20 statistically significantly different between
21 KP201/APAP and Norco.

22 With the comparable levels of drug liking

1 and high at each dosage level, it is not surprising
2 that subjects expressed a very similar willingness
3 to take KP201/APAP or Norco again if given the
4 opportunity to do so.

5 Study KP201.A02 was a randomized, double-
6 blind, double-dummy, placebo-controlled, single-
7 dose, five-way crossover study. There were two
8 parts to this study. Part A was the dose-selection
9 phase intended to determine an intranasal dose to
10 be used in the main part of the study, designated
11 Part B. The objective of Part B was to assess the
12 abuse potential of crushed KP201/APAP and Norco
13 when administered intranasally to non-dependent
14 recreational opioid users.

15 Based on the results of Part A, 2 tablets
16 each of KP201/APAP constituted 13.34 milligrams of
17 KP201 and 650 milligrams of APAP; and of Norco,
18 containing 15 milligrams hydrocodone bitartrate and
19 650 milligrams APAP were selected for the main
20 study.

21 Forty-two subjects constituted the completer
22 population and were administered double-dummy

1 during the treatments phase oral and intranasal
2 Norco, KP201/APAP, and placebo. The weight of
3 powder to be snorted varied from 850 milligrams for
4 Norco to a maximum of 1,100 milligrams for
5 KP201/APAP. All subjects were able to insufflate
6 virtually all of the active intranasal treatments
7 and most of the intranasal placebo treatments.

8 The mean hydrocodone plasma concentration as
9 a function of time post-dosing is shown in the
10 graph on this slide for both intranasal and oral
11 administration of KP201/APAP and Norco. For all
12 treatments, most of the rise in plasma hydrocodone
13 concentration occurred within the first 30 minutes,
14 although in the case of intranasal Norco, the
15 absorption was faster with most of the rise
16 occurring within the first 15 minutes.

17 Norco produced a Cmax for hydrocodone in
18 plasma that was not statistically significantly
19 different from that produced by intranasal
20 KP201/APAP based on the sponsor statistics. What
21 you have seen the slide here is an error, and so
22 it's corrected by as not being a difference between

1 the Cmax.

2 Hydrocodone exposure over the first half
3 hour, 1 hour, and 2 hours, following intranasal
4 KP201/APAP, was significantly less than that from
5 intranasal Norco but similar to that following oral
6 KP201.

7 The mean time course profiles for drug
8 liking following intranasal treatments of
9 Norco -- the blue line, and KP201/APAP, the red
10 line -- are shown on this slide for both
11 treatments. Most of the rise in drug liking
12 occurred within the first 30 minutes. The
13 cumulative drug-liking experiences following
14 intranasal KP201/APAP over the first half hour and
15 1-hour post-dosing were statistically significantly
16 lower than those following intranasal Norco. The
17 clinical significance of these differences from a
18 possible abuse-deterrent perspective is not clear.
19 Median TEmax for drug liking was 0.6 hours for
20 intranasal Norco and 1.4 hours for intranasal
21 KP201/APAP.

22 The mean time course profile for high

1 following intranasal treatments of Norco and
2 KP201/APAP are shown in this slide. For both
3 treatments, most of the rise in high occurred
4 within the first 30 minutes following dosing.

5 In contrast to what was found for drug
6 liking, there were no statistically significant
7 reductions in mean differences in cumulative high
8 experienced over the first half hour and 1 hour
9 following intranasal KP201/APAP compared to Norco.
10 Median TEmax was 1.2 hours for intranasal Norco and
11 1.4 hours following KP201/APAP.

12 This slide provides the mean Emax values for
13 drug-liking VAS, high VAS, and take drug again VAS.
14 For each of the three measures, the mean Emax for
15 both intranasal and oral after treatments have a
16 very narrow range. Statistical analyses of the
17 drug liking, high, and take drug again failed to
18 demonstrate that the mean Emax values produced by
19 intranasal KP201/APAP were smaller than that of
20 intranasal Norco. It was a failure of the primary
21 endpoint of Emax of drug liking.

22 Intranasal administration of Norco and KP201

1 produced similar maximum levels of drug liking and
2 high. In addition, there was a similar willingness
3 of subjects to, again, insufflate either of these
4 treatments if again given the opportunity to do so.

5 I would also point your attention to the
6 take-drug-again column just to be aware that
7 although between Norco oral and Norco intranasal,
8 there were differences from the pharmacokinetic
9 standpoint in hydrocodone exposure and differences
10 with respect to drug liking, the cumulative drug
11 liking.

12 When it came to asking subjects would you be
13 willing to take these two treatments again if given
14 the opportunity, the scores are almost identical:
15 74.5 versus 75.6, almost identical, similar
16 willingness to take oral or intranasal Norco.

17 I want to briefly discuss study KP201.A03.
18 However, I want to note at the outset that this
19 study has some issues with study design that make
20 it difficult to use in assessing the
21 abuse-deterrent effects of KP201/APAP to intranasal
22 abuse.

1 Study KP201.A03 is a pharmacokinetic study
2 to which was added the pharmacodynamic measure of
3 drug-liking VAS. The study is a randomized,
4 double-blind, single-dose, crossover study having
5 the primary objective of comparing the rate and
6 extent of absorption of hydrocodone and
7 hydromorphone from hydrocodone bitartrate API in
8 KP201, administered to non-dependent recreational
9 opioid users.

10 The treatments consisted of the active
11 pharmaceutical ingredients, 13.34 milligrams KP201
12 and 15 milligrams hydrocodone bitartrate. There
13 were only two treatments. There was no placebo
14 group.

15 Two cohorts were used in this study. Due to
16 blood sampling errors, no pharmacokinetic data for
17 hydrocodone in plasma was obtained from cohort 1,
18 although drug-liking data was obtained. With the
19 recruitment of cohort 2, both hydrocodone
20 pharmacokinetic data and drug-liking VAS --

21 DR. BROWN: Excuse me.

22 DR. TOLLIVER: -- were obtained from the

1 same individuals.

2 This slide provides the hydrocodone plasma
3 time course on your left and the drug-liking time
4 course on your right using only cohort 2, for which
5 both hydrocodone PK data and drug-liking data were
6 available, were obtained.

7 The Cmax for plasma hydrocodone following
8 intranasal KP201 API was approximately 36 percent
9 lower compared to that found following intranasal
10 hydrocodone bitartrate API. The time to achieve
11 Cmax was also significantly delayed following
12 intranasal KP201 API, 1 hour and 75 minutes,
13 compared to following intranasal hydrocodone
14 bitartrate API, median of 0.5 hours. However, do
15 note that most of the rise in the mean plasma
16 hydrocodone is achieved within 1 hour. Area under
17 the hydrocodone plasma concentration versus time
18 curves, AU, at all intervals were significantly
19 lower following KP201 API versus hydrocodone
20 bitartrate API.

21 No statistically significant reduction in
22 mean maximum drug liking was found following

1 intranasal KP201 API compared to following
2 intranasal hydrocodone API. Median time to maximum
3 drug liking was 0.5 hours and 1.1 hours for
4 hydrocodone API and KP201 API, respectively. One
5 thing to keep in mind is that these data come from
6 just one cohort, that is cohort 2, so you will see
7 some differences between what I'm showing here
8 versus what you saw earlier in the sponsors
9 presentation, where it appears that both cohorts
10 were used for purposes of drug-liking VAS.

11 The purpose of choosing just the cohort 2
12 alone for this presentation is because you have
13 drug-liking and pharmacokinetic data from the same
14 individual.

15 There are some deficiencies with this study,
16 and they're included below. The study involved
17 insufflation of KP201 API and hydrocodone
18 bitartrate API and not the products KP201/APAP and
19 Norco. As such, the study does not take into
20 account possible effects of either mass of powder
21 to be insufflated, that is 13 to 15 milligrams
22 versus 850 to 1,100 milligrams, or the effects of

1 APAP on the insufflation experience as would occur
2 following insufflation of the products.

3 There was no drug discrimination, also known
4 as qualification phase. There was no placebo
5 treatment for the treatment phase. And I think an
6 important point is there were no additional
7 subjective reinforcing measures, such as high and
8 take drug again conducted, which could have been
9 used to support observed effects of the drug-liking
10 VAS.

11 In conclusion, an oral human abuse potential
12 study KP201.A01 at similar dosage levels of low,
13 medium, and high, oral KP201/APAP and Norco
14 produced similar levels of drug liking, high, and
15 take drug again. So this study failed the primary
16 endpoint of Emax for drug liking.

17 The greater early exposure to plasma
18 hydrocodone following median and high oral doses of
19 Norco compared to that following similar doses of
20 KP201/APAP did not translate to higher levels of
21 drug liking, high, or take drug again.

22 In study KP201.A02, insufflation of Norco

1 and KP201/APAP produced similar maximum
2 drug liking, high, and take drug again. There was
3 a failure of the primary endpoint of the Emax of
4 drug liking. Results of the take drug again VAS
5 demonstrate that subjects have a similar
6 willingness if given the opportunity to again
7 insufflate either Norco or KP201/APAP.

8 The extent of drug liking but not of high,
9 experienced over the first hour, as demonstrated by
10 the areas under the effect curves, was higher
11 following insufflation of Norco compared to
12 insufflation of KP201/APAP. However, the clinical
13 relevance of this higher drug-liking experience is
14 not known, particularly in light of the fact that
15 there was an absence of differences with respect to
16 high, or the amount of euphoria that was
17 experienced and recorded, and also in the
18 take-drug-again measures.

19 For a variety of reasons noted in this
20 presentation, study KP201.A03 cannot be used to
21 assess either the abuse potential or
22 abuse-deterrent effects of KP201/APAP tablets

1 against Norco via the intranasal route of
2 administration. Thank you.

3 **FDA Presentation - Rajdeep Gill**

4 DR. GILL: Good morning. My name is
5 Rajdeep Gill, and I'm a drug utilization data
6 analysis team leader in the Division of
7 Epidemiology in the Office of Surveillance and
8 Epidemiology. I will be presenting drug
9 utilization patterns for combination
10 hydrocodone/acetaminophen and other opioid
11 analgesics from 2011 through 2015 to provide
12 context for the discussion today.

13 The outline of my presentation will be as
14 follows. First, I will discuss national sales
15 distribution of hydrocodone/acetaminophen, followed
16 by patient and prescription utilization of
17 hydrocodone/acetaminophen and other opioid
18 analgesics, with the focus on the outpatient retail
19 settings. I will then present findings on top
20 prescriber specialties, as well as diagnoses
21 associated with the use of
22 hydrocodone/acetaminophen and will end my talk with

1 limitations and conclusion.

2 Several databases were used to conduct these
3 analyses. I will describe each database briefly
4 before presenting the results of each analysis.

5 Our analyses are focused on
6 hydrocodone/acetaminophen because the drug in
7 discussion today, as you have heard, the benzhydro
8 acetaminophen is a prodrug and gets metabolized to
9 hydrocodone. We also looked at the opioid products
10 market into which this product, if approved, will
11 be introduced to, such as combination
12 oxycodone/acetaminophen, immediate-release single
13 entity oxycodone, oxymorphone, morphine,
14 hydromorphone, tapentadol, and extended-release
15 single-entity hydrocodone.

16 To determine the primary settings of care,
17 we used the IMS National Sales Perspectives
18 Database to provide the sales distribution data of
19 hydrocodone/acetaminophen products sold from the
20 manufacturers and wholesalers into the back door of
21 various settings of care. These sales data are
22 nationally projected to all settings of care.

1 As displayed in this chart, 72 percent of
2 combination hydrocodone/acetaminophen products were
3 distributed from manufacturers to retail settings,
4 25 percent to non-retail pharmacies, and 3 percent
5 to mail order pharmacies. Therefore, the drug
6 utilization analyses for the rest of my
7 presentation will be focused on U.S. outpatient
8 retail pharmacy settings.

9 For unique patient analysis, we used the IMS
10 Health Total Patient Tracker Database. These data
11 are based on a robust sample and are nationally
12 projected. For prescription analysis, we used the
13 IMS Health National Prescription Audit Database,
14 which measures the dispensing of prescriptions from
15 retail pharmacies into the hands of the patients
16 via formal prescriptions in the U.S. The
17 prescription data can be stratified by prescriber
18 specialty as well.

19 This figure shows the nationally estimated
20 number of patients who received a dispensed
21 prescription for hydrocodone/acetaminophen and
22 other opioid analgesics from U.S. outpatient retail

1 pharmacies from 2011 through 2015.

2 As shown in the top red line, the total
3 number of patients who received a dispensed
4 prescription for hydrocodone/acetaminophen
5 decreased from 46.5 million patients in 2011 to
6 40 million patients in 2015. Although there is a
7 decline in utilization, patients who received a
8 prescription for hydrocodone/acetaminophen still
9 accounted for the majority of patients compared to
10 the rest of opioid analgesics in our analysis.

11 As shown in this figure, the total number of
12 prescriptions, as shown by the top red line,
13 dispense for hydrocodone/acetaminophen decreased
14 from approximately 125 million prescriptions in
15 2011 to 90 million prescriptions in 2015. Similar
16 to the patient data, hydrocodone/acetaminophen
17 accounted for the majority of prescriptions
18 compared to the rest of the opioid analgesics in
19 our analysis.

20 This figures shows the top prescribing
21 specialties for hydrocodone/acetaminophen in 2015.
22 Approximately 28 percent of

1 hydrocodone/acetaminophen prescriptions were
2 written by general practice, family practice and
3 osteopathy, followed by internal medicine and
4 dentistry at 12 percent each.

5 Now, we will transition to our analysis of
6 diagnoses associated with the use of
7 hydrocodone/acetaminophen. To determine this, we
8 used a database that contains data from monthly
9 surveys of 3200 office-based physicians
10 representing 30 different specialties across the
11 U.S. who report on all patient activity during one
12 typical work day per month. These data are
13 nationally projected by physician specialty and
14 region and are helpful in characterizing the use of
15 drug products in clinical practice.

16 The top diagnosis associated with the use of
17 hydrocodone/acetaminophen for year 2014 reported as
18 acute or chronic conditions by the prescribing
19 physicians are shown here. Diagnoses coded to
20 ICD-9 were linked to drug product mentioned during
21 a patient encounter and then grouped into
22 diagnostic categories that were collapsed to

1 3-digit ICD-9 codes.

2 Conditions reported by the physicians as
3 acute accounted for approximately 51 percent of
4 hydrocodone/acetaminophen drug use mentions while
5 chronic conditions accounted for approximately
6 44 percent of the total drug use mentions.

7 Among the acute conditions, 42 percent of
8 hydrocodone/acetaminophen use mentions were
9 associated with diagnoses for injury and poisoning,
10 which include injuries related to sprains,
11 fractures, dislocation of joint, wounds and
12 contusions, and 17 percent were associated with
13 disease of the musculoskeletal system and
14 connective tissue.

15 Among the chronic conditions, 54 percent of
16 the hydrocodone/acetaminophen use mentions were
17 associated with diagnoses for diseases of the
18 musculoskeletal system and connective tissue, which
19 include arthritic conditions and back pain, and
20 14 percent were associated with follow-up visits.

21 For limitations, only outpatient retail
22 pharmacy-use was assessed. In-patient and mail

1 order pharmacy data were not included. The
2 diagnoses data are based on physician survey data
3 of an office visit. It is unknown if the patient
4 ultimately received a dispensed prescription from
5 the pharmacy.

6 Moreover, the diagnosis data obtained
7 represent approximately 30 prescriber specialties
8 but do not include prescribers such as dentists,
9 which represents one of the top specialties that
10 prescribed hydrocodone/acetaminophen as reported by
11 the prescription data.

12 So in conclusion, there was a decrease in
13 utilization of hydrocodone/acetaminophen from 2011
14 through 2015 with 90 million prescriptions
15 dispensed and 40 million patients in 2015. The top
16 prescriber specialties were general practice,
17 family practice, osteopathy, followed by internal
18 medicine and dentists. Hydrocodone/acetaminophen
19 appear to be used widely for acute and chronic
20 conditions that were often associated with
21 musculoskeletal pain and pain related to injuries.
22 Thank you.

FDA Presentation - Jana McAninch

1
2 DR. McANINCH: Good morning. It's barely
3 still morning. I'm Jana McAninch. I'm from the
4 Division of Epidemiology, and I'll be speaking
5 about the relevance of snorting as a route of abuse
6 for hydrocodone combination products.

7 First, I'll present some background
8 information on hydrocodone combination products and
9 on their misuse and abuse. I will also propose a
10 framework for considering the relevance of specific
11 routes of abuse for opioid products. Then I will
12 discuss some key findings and limitations of the
13 study reports submitted by the sponsor, as well as
14 related studies in the published literature,
15 closing with our overall interpretation of the
16 available data on nasal abuse of these products.

17 Hydrocodone combination products, or HCPs,
18 refer to the class of medications that contain
19 immediate-release hydrocodone in doses up to
20 10 milligrams in fixed combination with a
21 non-opioid active pharmaceutical ingredient, mostly
22 commonly acetaminophen. Immediate-release

1 hydrocodone is currently available only as a
2 combination product, and these products comprise
3 the vast majority of the hydrocodone market.

4 As Dr. Gill just described,
5 hydrocodone/acetaminophen products are the most
6 widely prescribed opioid analgesics and remain so
7 even after declines in prescription numbers
8 following rescheduling in October of 2014.

9 Hydrocodone misuse and abuse are also
10 widespread as you heard earlier. In 2011, there
11 were an estimated 82,480 emergency department
12 visits related to nonmedical use of hydrocodone.
13 And according to the 2014 National Survey on Drug
14 Use and Health, an estimated 24.3 million people in
15 the United States have used hydrocodone for
16 nonmedical purposes during their lifetime.

17 Some early data suggest that misuse and
18 abuse of hydrocodone products may have declined
19 after rescheduling. A recent publication reported
20 a decrease in exposure calls to Texas Poison
21 Centers involving hydrocodone misuse and abuse
22 during the first six months after rescheduling with

1 a corresponding increase in coding related calls.

2 According to final FDA guidance issued last
3 year, abuse-deterrent technologies should target
4 known or expected routes of abuse relevant to the
5 proposed product. Relevance is not explicitly
6 defined in the guidance document, and this
7 statement raises the question of how we might
8 determine whether a route of abuse is relevant for
9 a particular opioid product.

10 We can perhaps think about relevance in
11 terms of the clinical and public health burden
12 associated with a particular route of abuse. We
13 can then consider two overarching questions that
14 might informed by epidemiologic data.

15 The first is the question of scope, how
16 widespread is abuse of an opioid via a particular
17 route? In considering nasal hydrocodone
18 combination product abuse, we can ask what
19 proportion of abusers do so via the nasal route?
20 How does this vary in different abuser subgroups?
21 How often is snorting a preferred router an
22 exclusive route? Do those who try snorting

1 hydrocodone combination products continue to abuse
2 it via this route? And then, how might all these
3 data translate to absolute numbers of individuals
4 who snort hydrocodone combination products?

5 A second overarching question relates to
6 adverse outcomes, what is the risk of harm
7 associated with nasal abuse, and perhaps more
8 specifically, what is the excess risk beyond that
9 associated with oral ingestion?

10 To attempt to answer these questions, we
11 reviewed reports from four NAVIPPRO studies
12 submitted by the sponsor. These studies included
13 two that describe information on recent drug abuse
14 collected from adults and adolescents being
15 assessed for substance abuse disorders in treatment
16 centers and other settings participating in the
17 NAVIPPRO surveillance network.

18 Also submitted were the results of two
19 internet surveys conducted through the peer-to-peer
20 online drug discussion forum, bluelight.org. These
21 two surveys focused on different aspects of
22 nonmedical use of hydrocodone combination products.

1 We also reviewed the published literature relevant
2 to the question of both scope and adverse outcomes
3 associated with nasal abuse of these products.

4 Next, I will discuss some key findings of
5 these studies, first as they relate to scope and
6 then to adverse outcomes.

7 As shown in this table, reported nasal
8 hydrocodone combination product abuse is not
9 uncommon in individuals entering or being assessed
10 for substance abuse treatment, particularly among
11 adolescents where approximately 43 percent of past
12 30-day hydrocodone combination product abusers
13 reported snorting the drug as compared to
14 23 percent of hydrocodone combination product
15 abusers in the ASI-MV sample of adults.

16 A third study published in 2013 examined
17 drug abuse patterns in a sample of individuals
18 entering non-methadone treatment for a prescription
19 opioid addiction and found that 26.6 percent of
20 participants whose primary drug of abuse was
21 hydrocodone reported snorting the drug.

22 This table shows some additional analyses

1 based on the ASI-MV data, suggesting that nasal
2 abuse is almost 3 times more common in those
3 entering residential substance abuse treatment than
4 in people assessed in correction settings. Those
5 abusing multiple opioids are also roughly 3 times
6 as likely to snort hydrocodone products as those
7 reporting hydrocodone as the only opioid they
8 abuse.

9 In this sample, a large majority of nasal
10 hydrocodone abusers were found to have a
11 considerable or an extreme drug problem based on
12 addiction severity index scores, and nasal abusers
13 were more likely than oral hydrocodone abusers to
14 also abuse additional opioids.

15 This published study from 2010 further
16 illustrates the variation in route of abuse
17 patterns for hydrocodone products in different
18 study populations.

19 This study examined opioid use in two
20 convenient samples of nonmedical prescription drug
21 users in Kentucky, one recruited from a rural
22 Appalachian county and the other from a major

1 metropolitan county. Here, the rural abusers were
2 substantially more likely than their urban
3 counterparts to have used each of the opioids non-
4 medically and to have done so via non-oral routes.
5 For example, 64 percent of the rural participants
6 had reported snorting methadone and 45 percent
7 injecting OxyContin. Addiction severity index
8 scores also indicated that rural participants had
9 more severe drug problems.

10 In both groups, more than 90 percent
11 reported using hydrocodone for nonmedical purposes
12 at some point during their lifetime, but only
13 6.3 percent of the urban users reported snorting
14 the drug, while 74 percent of rural users reported
15 snorting it. No one in either group reported
16 injecting hydrocodone.

17 As shown in this table, snorting is
18 infrequently reported as the preferred or exclusive
19 route by those using hydrocodone combination
20 products non-medically. In the 2014 internet
21 survey, more than one-third of nonmedical users of
22 hydrocodone combination products reported snorting

1 these drugs at some point in their lifetime.
2 However, only 6.7 percent reported that snorting
3 was their preferred route for these products. And
4 in the 2015 survey, 6.3 percent reported snorting
5 as the route used at their most recent nonmedical
6 use of hydrocodone combination products.

7 In additional data provided to FDA by
8 authors of a published study using NAVIPPRO ASM-IV
9 data, 5.5 to 7.5 percent of recent hydrocodone
10 combination product abusers indicated only snorting
11 as the route by which they abuse these products.

12 Data reported in the 2015 internet survey
13 suggests that regular ongoing abuse of hydrocodone
14 combination products via the nasal route may be
15 relatively uncommon. Of the 394 respondents
16 reporting continued use of hydrocodone combination
17 products following their initial use, about
18 three-quarters reported never snorting it.

19 Of the 26 percent who reported snorting it
20 at some point during continued use, 3.3 percent
21 reported daily snorting and 5.6 percent reported
22 snorting a few times a week, while most reported

1 snorting these products a few times a month or
2 less. The duration of continued use was not
3 specified in this study.

4 Another important consideration is that
5 because hydrocodone is the most commonly abused
6 opioid, even a relatively small proportion of
7 abusers snorting translates to absolute numbers
8 that may be comparable to other classes of opioids.
9 For example, this figure from the NAVIPPRO ASI-MV
10 study shows the total number of individuals in the
11 sample population who reported abusing selected
12 groups of opioids by route of abuse.

13 In this sample, the number of individuals
14 who reported snorting hydrocodone combination
15 products is comparable to the number who reported
16 snorting IR oxycodone products or opioids. This is
17 in contrast to other non-oral routes where the
18 number of individuals who reported injecting or
19 smoking hydrocodone combination products was very
20 low.

21 The study submitted by the sponsor, as well
22 as those in the published literature, had

1 considerable limitations. Some important ones were
2 that in general, the measures used for assessing
3 route of abuse were not well-defined or validated.
4 The referent time frame and intent of the questions
5 was often not entirely clear.

6 For example, for each drug a respondent
7 indicates they have used in the past 30 days, the
8 ASI-MV and CHAT assessments asked, "How have
9 usually used the drug? Please select all that
10 apply," followed by a list of possible routes.

11 It's unclear whether the respondent is to
12 select all routes that they have used or the one
13 they used most frequently for this drug. And the
14 referent time period is also somewhat unclear.

15 Second, the studies used convenient samples
16 that may not reflect abuse patterns outside the
17 sample population. First, the study samples are
18 not geographically representative of the
19 United States. For example, more than 70 percent
20 of participating chat sites are located in the
21 State of Missouri, and we know that drug abuse
22 patterns vary widely across geographic regions.

1 Second, non-oral abusers may be
2 overrepresented in the study samples. Both the
3 ASM-IV and CHAT oversample individuals with more
4 advanced substance use disorders and therefore
5 non-oral abuse is likely to be more common in these
6 samples than in a broader population of hydrocodone
7 combination product abusers.

8 Recruiting survey participants from online
9 drug discussion forums may also select for non-oral
10 abusers as tampering methods and alternate routes
11 are frequent topics of discussion on these
12 websites, and it's possible that those interested
13 in abusing via these routes may be more likely to
14 visit these sites, and therefore be invited to
15 participate in the survey.

16 So to summarize the available data on the
17 scope of hydrocodone combination product nasal
18 abuse, the estimated prevalence of nasal abuse
19 among hydrocodone combination product abusers
20 varies widely depending on the setting and
21 characteristics of the study population and how the
22 questions about route of abuse are asked.

1 The available data suggests that snorting is
2 not an uncommon route in certain populations of
3 hydrocodone combination product abusers,
4 particularly adolescents being assessed for
5 substance abuse treatment, those with more advanced
6 addiction, and those abusing multiple opioids.

7 Snorting is infrequently identified as the
8 preferred or the exclusive route for abusing
9 hydrocodone combination products and ongoing
10 regular nasal abuse may be fairly uncommon among
11 nonmedical users of these products.

12 Moreover, none of the studies provide
13 information on nasal abuse of hydrocodone
14 combination products in the general population,
15 which likely includes more experimental or
16 recreational users without advanced substance use
17 disorders.

18 However, as I discussed earlier, misuse and
19 abuse of hydrocodone combination products is
20 widespread in the United States. Therefore, even a
21 relatively small proportion of hydrocodone
22 combination product abusers snorting may translate

1 to a large absolute number of people potentially
2 exposed to harms from nasal abuse.

3 So this leads to the second question related
4 to relevance of snorting as a route of abuse: What
5 are the potential adverse effects of snorting
6 hydrocodone combination products and what are the
7 risks of these adverse outcomes?

8 As you've heard earlier, a number of case
9 reports and case series have described damage to
10 nasal passages, including tissue necrosis,
11 perforated septum and palate, and fungal infections
12 in patients with a history of nasal drug abuse,
13 particularly combination opioid acetaminophen
14 products.

15 In some cases, nasal hydrocodone combination
16 product abuse was confirmed. However, drug abuse
17 histories were typically incomplete, and some
18 patients reported nasal abuse of other opioids,
19 including oxycodone, as well as non-opioid drugs
20 such as cocaine. Although these case reports are
21 concerning, the actual incidents of nasal tissue
22 damage associated with nasal abuse of hydrocodone

1 combination products is unknown.

2 Arguably, the outcomes of greatest concern
3 with prescription opioid abuse are addiction and
4 overdose. Nasal abuse is associated with more
5 advanced drug use disorders. This has been
6 described previously for prescription opioids in
7 general and appears to apply to hydrocodone
8 combination products specifically as well, as I
9 have just discussed.

10 The existing data shed little light on
11 whether this practice is more a cause or a
12 consequence of worsening substance use disorder
13 however, or on whether an opioid formulation that
14 reduce nasal abuse would decrease the likelihood of
15 an individual becoming addicted, or whether as
16 tolerance develops, he or she would simply take
17 more tablets orally or turn to other more potent
18 opioids.

19 Unfortunately, the epidemiologic data are
20 extremely limited with regard to the role of nasal
21 hydrocodone product abuse in overdoses related to
22 these drugs. Neither national overdose death data,

1 nor coded administrative overdose claims indicate
2 specific prescription opioids or route, and data
3 sources relying on medical record review, for
4 example emergency department visit cases, also do
5 not capture route of abuse consistently.

6 One published study analyzing West Virginia
7 medical examiner records looked at
8 295 unintentional overdose deaths involving
9 prescription opioids. Of these, 22.4 percent were
10 known to have involved a nonmedical route of
11 administration. However, it was not specified
12 whether any of these involved nasal administration
13 of hydrocodone products.

14 A separate published analysis of call data
15 from U.S. poison centers suggests that nasal and
16 parenteral opioid exposures may be associated with
17 more severe outcomes. But again, the data do not
18 indicate to what degree this finding applied to
19 hydrocodone products specifically.

20 This figure is from a published analysis of
21 2006 U.S. poison center call data showing the
22 number of exposure calls for selected opioids with

1 an outcome of death stratified by route of
2 administration. The analysis found that in this
3 single year of data, unlike for the other opioids,
4 none of the fatal poisonings attributed to
5 intentional misuse or abuse of hydrocodone involved
6 inhalation or parenteral routes.

7 Several limitations of the poison center
8 data must be considered, however. First, a caller
9 may not always recognize or report non-oral routes
10 of exposure even when they had, in fact, occurred.
11 Second, unattended fatal overdoses will generally
12 not result in a call to a poison control center,
13 and therefore would not be captured in these data.
14 In that more severe overdoses may be more likely to
15 involved non-oral routes, these cases may be
16 underrepresented in this database.

17 Finally, it must be kept in mind that the
18 number of fatal opioid poisoning cases captured in
19 poison control data represent a very small fraction
20 of fatal opioid overdoses that occur nationally
21 each year.

22 In addition to these very limited

1 epidemiologic data, we can consider the role of
2 clinical and pharmacologic factors in assessing the
3 risk of harm associated with nasal hydrocodone
4 combination product abuse.

5 First is the relatively low dose of opioid
6 that these products contain compared to the higher
7 potency dosage forms available for
8 single-ingredient opioid analgesics, particularly
9 the extended-release long-acting opioids where
10 nasal administration of crushed tablets has the
11 potential to result in rapid absorption and
12 bioavailability of a very high dose of opioid.

13 Second is the limited amount of material
14 that can be administered nasally and absorbed at
15 any one time. Third, although cases of fungal
16 rhinosinusitis have been reported with opioid
17 acetaminophen snorting, this route does not have
18 the same level of infectious risk associated with
19 injection.

20 Finally, in thinking about the excess risks
21 associated with snorting hydrocodone combination
22 products beyond those associated with the intended

1 route of ingestion, one must consider the
2 substantial potential for harm associated with
3 ingestion of supratherapeutic oral doses of
4 acetaminophen-containing combination opioid
5 products.

6 So in summary, the true incidence of adverse
7 outcomes associated with nasal abuse of hydrocodone
8 combination products is not known. There have been
9 case reports of nasal tissue damage and infection
10 associated with opioid acetaminophen combination
11 products, but other opioid products and
12 illicit drugs may have played a role in these cases
13 as well.

14 Clinical and pharmacologic factors suggest
15 that snorting hydrocodone combination products
16 likely confers a lower risk of overdose than
17 snorting single-ingredient higher dose opioid
18 products. And finally, very limited data suggests
19 that hydrocodone-related overdose deaths primarily
20 involve oral ingestion.

21 In conclusion, the epidemiologic data
22 interpreted within the context of what we know

1 about the clinical and pharmacologic
2 characteristics of hydrocodone combination products
3 suggest that the absolute numbers of individuals
4 that have snorted hydrocodone combination products
5 may be quite large. However, nasal abuse may make
6 a relatively small contribution to the overall
7 harms associated with misuse and abuse of these
8 products. Thank you.

9 **Clarifying Questions**

10 DR. BROWN: We're now going to move on to
11 clarifying questions for the FDA. We'll move back
12 to clarifying questions for the presenters after
13 lunch.

14 Are there any clarifying questions for the
15 FDA at this point? Please remember, if you are
16 asking questions, please state your name for the
17 record before you speak. If you can, please direct
18 questions to a specific presenter.

19 Dr. Gerhard?

20 DR. GERHARD: Tobias Gerhard, Rutgers.
21 First of all, I want to really congratulate FDA for
22 the really very informative presentation,

1 particularly on the epidemiologic data, which is
2 obviously extremely limited. So putting together
3 such a multifaceted view of all the data that is
4 out there together, really identifying all the
5 areas where we have lack of information and what we
6 know from different sources is, I found, very
7 impressive and want to thank you.

8 So the question is for slide 5, very simple,
9 for Dr. McAninch, just because we've, I think,
10 addressed this or talked about this a little bit
11 before. In terms of terminology, can you give us
12 the definitions for the terms "misuse," "abuse,"
13 and the specific definitions that were used in the
14 two different references, 1 and 2, for nonmedical
15 use? Or were these narrow definitions where it was
16 specifically a question of, was the use to get high
17 or was it --

18 DR. McANINCH: Yes.

19 DR. HIGGINS: -- any use that was not
20 according to the package insert?

21 DR. McANINCH: Right. The definitions vary
22 across every data source. But in general,

1 "nonmedical use" is a broader definition that
2 includes both abuse, which we typically consider
3 use to get high for some psychologically rewarding
4 effect, as well as "misuse" which is use not
5 according to the recommended prescription. And
6 that would include taking someone else's medication
7 but not specifically for the purpose of getting
8 high.

9 So we think of abuse and misuse as being
10 mutually exclusive, and then nonmedical use
11 incorporates both of those. That's I think a rough
12 breakdown of how those are used in the different
13 databases.

14 Is that helpful?

15 DR. BROWN: Dr. Emala?

16 DR. EMALA: My question's for Dr. Stevens,
17 slide 12. I think this gets very close to a
18 question I asked this morning. I just want to ask
19 your opinion on the solvent.

20 This basically shows under stress
21 conditions, 2 and 3 hours, 80 percent extraction in
22 Solvent G. Would you agree that Solvent G is very,

1 very close to Solvent X that we looked at earlier?

2 DR. STEVENS: Yes, I would. I think they're
3 pretty much, almost identical in their properties.

4 DR. EMALA: And Solvent X under Stress
5 Conditions 1 at 4 hours was about 60 percent, so
6 this makes sense that with better stressing and
7 shorter time, 80 percent extraction?

8 DR. STEVENS: Yes, and I'll even add to that
9 a little bit. One of the reasons, as I pointed
10 before, that we requested this follow-up study,
11 based on an information request, was because, to
12 some extent, the definition that was being used
13 previously as an advanced buffer somewhat takes the
14 results out of context. And I think when you look
15 at this very specific solvent, it makes it a lot
16 more apparent that this data is very relevant to
17 what's commercially available and what's
18 potentially useable by an abuser.

19 DR. EMALA: Thank you.

20 DR. STEVENS: Yes.

21 DR. BROWN: Dr. Bateman?

22 DR. BATEMAN: This question is for

1 Dr. Tolliver, and it relates to slide 14.

2 So one of the advantages of the product
3 suggested by the sponsor was that abusers will not
4 obtain a rapid high if they snort the medication
5 compared with Norco.

6 I was interested in the fact that the high
7 VAS was first measured at 30 minutes, and is there
8 a potential we would be missing some of the benefit
9 associated with the drug by having the measurements
10 so far out from the time of snorting? Is this a
11 standard approach to this kind of study?

12 DR. TOLLIVER: I'm having trouble hearing
13 so -- are you able to --

14 DR. BATEMAN: So why was high VAS first
15 measured at 30 minutes? Why not at earlier time
16 points that might be more relevant if there is fact
17 some advantage with this product in delaying the
18 onset of high?

19 DR. TOLLIVER: Yes. We may be missing some
20 time points in there. I can't deny that. I mean,
21 that's -- how that curve goes between 0 and 0.5, I
22 don't know. We don't have it in our slide.

1 DR. BATEMAN: Are high VAS not typically
2 measured at earlier time points or is this just the
3 way the graph was created, or were the data not
4 generated? And maybe the sponsor can respond to
5 it.

6 DR. MICKLE: Sorry. What was the question?

7 DR. BATEMAN: The first time point at which
8 high VAS was measured as shown in this graph is at
9 30 minutes.

10 DR. MICKLE: Right.

11 DR. BATEMAN: And in your presentations, you
12 talked about the advantage of the product being a
13 delay in the onset of high and abusers not getting
14 the immediate high they expect when snorting the
15 medication.

16 So I would think it would relevant to
17 measure the high VAS at very early time points
18 after nasal ingestion.

19 DR. MICKLE: I think the reasoning behind
20 the clinical design here was merely a clinical
21 practice. And maybe, Dr. Webster, you'd be helpful
22 here as well having actually conducted these

1 studies.

2 It's very difficult for subjects to do so
3 many scores and to take blood and take vital signs.
4 Again, there was a 15-minute time point, as well as
5 a 5-minute time point, so 5, 15. It just felt that
6 capturing drug liking, as it's typically measured
7 using the bipolar scale, made more sense here than
8 trying to capture all secondary measures.

9 Dr. Webster?

10 DR. WEBSTER: Yes, that's correct.

11 Actually, since liking or Emax is really the
12 primary endpoint and high is not, so you have to
13 push something around. You're going to have to
14 push the assessment of a high off so that you can
15 just practically get everything in.

16 DR. BROWN: Dr. Stergachis?

17 DR. STERGACHIS: Thank you.

18 Andy Stergachis. This question is also for
19 Dr. Tolliver, slide 15, the next slide. Noted is
20 that the similarities in each of the three
21 endpoints between the comparator and KP201, I'm
22 trying to understand that better.

1 Does that imply that the prodrug is somehow
2 cleaved to hydrocodone through esterases in the
3 blood? I'm just trying to understand why we're
4 seeing the similarity between the two products.

5 DR. TOLLIVER: One of the things that I
6 mentioned is the large amounts of powder that are
7 being insufflated. So for example, with KP201, the
8 subject is requested to insufflate 1.1 grams of
9 powder.

10 One of the possibilities is that they're
11 insufflating it, and some of it is going down into
12 the throat and into the stomach where it's being
13 converted, and that's a possibility. And part of
14 it may be absorbed through the intranasal route as
15 well. So I think those two were possibilities.

16 DR. BROWN: Dr. Hertz?

17 DR. HERTZ: You might want to put up
18 slide 55, which shows the PK of exposure to
19 hydrocodone. And again, it doesn't say where the
20 conversion is taking place, but it does show the
21 plasma concentrations with study A02.

22 DR. BROWN: Dr. Hertz, which presentation is

1 that from?

2 DR. HERTZ: Oh, I'm sorry. That's actually
3 the sponsor's that I'm looking at.

4 (Laughter).

5 DR. HERTZ: Slide 12 of FDA.

6 DR. MICKLE: Actually, we would agree with
7 Dr. Tolliver. It appears that the majority of the
8 material actually goes down in the back of the
9 throat when you have so much of it there. And
10 certainly, you can see that Norco, even though you
11 have more material, a lot of it does get absorbed
12 very rapidly when insufflated.

13 DR. BROWN: Dr. Craig?

14 DR. CRAIG: Thank you. Maybe this is for
15 Dr. Tolliver. The FDA is using the outcome measure
16 of Emax as the differences in comparing the two
17 groups here and specifically in study A02.

18 The sponsor discusses Emax and found no
19 differences. The sponsor then again uses a
20 different abuse coefficient calculation, and then
21 their slide set shows a significant difference
22 between the two groups. I know the FDA is using

1 Emax as the comparator as the sponsor found no
2 difference, as the FDA found no difference in Emax.

3 Can you comment on the importance of the
4 abuse coefficient here and if it's useful?

5 DR. TOLLIVER: Are you talking about the
6 quotients that he mentioned? Okay. Well, that's
7 in regard to pharmacokinetics. So the quotient
8 that they were talking about was Cmax over Tmax.

9 One of the things to keep in mind is that
10 both of these primary studies that were done, they
11 were actually small -- there were some differences
12 observed between the pharmacokinetics versus the
13 pharmacodynamics. All right? You got certain
14 pharmacokinetic effects such as maybe a change of
15 the early total exposure, drug exposure, reflected
16 in the area under the curve. And you saw that for
17 example in the oral study and it was a significant
18 difference.

19 However, when you went to any of the
20 pharmacodynamic measures, drug liking, high, there
21 was no effect. There was no difference. This is
22 one of the things that I was stressing.

1 Likewise, when you go into the intranasal
2 study, again, we saw there's this difference in the
3 pharmacokinetic -- between the pharmacokinetics
4 versus the pharmacodynamics, as far as I'm
5 concerned, in two respects, at least two out of
6 three.

7 One is that, again, we saw the increase in
8 early drug exposure as reflected by the
9 pharmacokinetics. Now, that was reflected with
10 respect to drug liking, in the early drug-liking
11 experience. So maybe there's something there. But
12 when you go into high, at least from the data that
13 we have and things like that, it was not there.

14 So I'm not sure how relevant, really, is
15 this difference in quotient thing, this
16 abuse-deterrent quotient paradigm -- parameter is.

17 I'd also say that, think of the difference,
18 the large difference that was found in the third
19 study in the pharmacokinetics, this really large
20 rise with early rise with Norco versus the API for
21 KP201.

22 To me, that was not reflected, really, in

1 the drug liking. Now, that's the only measure that
2 was done. It really would have been nice to see
3 more measures to see how it would have reflected.
4 But, you do see a limited change in the area under
5 the curve for the first hour in that third study,
6 too, but you didn't see the change in Cmax. And if
7 you look at the median TEmax, it was a lot earlier
8 than what you might expect with the Tmax with the
9 pharmacodynamics.

10 So I'm not sure there's a total connect
11 between the pharmacokinetics and the
12 pharmacodynamics.

13 DR. HERTZ: I just want to add the other
14 point being I think that drug liking is an
15 important outcome -- this is Sharon Hertz -- but
16 it's not often that the single endpoint is going to
17 describe an effect fully, which is why we thought
18 it important to provide the three that we think are
19 very relevant in this setting.

20 Human abuse potential studies are used for
21 different purposes in different settings. The
22 original purpose was to assess the abuse liability,

1 the abuse potential. We're using them in this not
2 perhaps initially intended role of evaluating the
3 abuse-deterrent properties.

4 So within that context, we think that if you
5 want to show whether there is a deterrent effect,
6 one should look at whether there's a difference in
7 willingness to take the drug again for the purposes
8 of getting high.

9 So that's why we present -- even though it's
10 a secondary, we think it's critically important to
11 provide context for the differences in drug liking
12 or drug high because we know -- as committee
13 members, you all know that often statistical
14 significance in a difference versus clinical
15 meaningfulness in a difference in outcomes are not
16 always the same. So we look at liking, high, and
17 take drug again as a combination to provide context
18 for that finding.

19 So I think the quotient is an important
20 analysis when evaluating properties of a controlled
21 substance. But when looking deterrent effects, it
22 might not be the most relevant of the outcomes

1 available or analyses available.

2 DR. BROWN: Dr. Morrato?

3 DR. MORRATO: I had a similar question to
4 Dr. Craig's, so I thought it was very helpful what
5 you said, Dr. Tolliver. No, you don't have to go
6 back up. I just wanted to thank you because it
7 helps I think interpret the clinical meaningfulness
8 of the data.

9 My question was actually for Dr. Stevens. I
10 want to commend you. I thought you really helped
11 walk us through not just the data but how to think
12 about and interpreting it, so it was very helpful.
13 And I was wondering whether or not -- you made a
14 very compelling argument with the chemicals and
15 methods that were being tested, but had the FDA
16 considered enzymatic challenge as well?

17 I know Dr. Emala brought earlier pancreatic
18 enzymatic mixtures. The sponsor answered in
19 response to one that they have data on singular
20 enzymes. And I was wondering if you considered any
21 of that in sort of the totality of your assessment
22 of ease of circumventing the abuse-deterrent.

1 DR. STEVENS: Yes. I think the answer to
2 that in general is no. I think the reasoning for
3 that is that the condition that we typically ask
4 for and that are requested in the guidance tend to
5 focus on things that would be commonly used that we
6 think the vast majority of common abusers might try
7 or use or find available.

8 I certainly don't think that means that you
9 wouldn't see very interesting results with
10 enzymatic processes, and, again, who knows, there
11 may be a response once the products comes unto the
12 market and developing novel conditions that we may
13 not have looked at during the submission.

14 But overall, the answer is no. We don't
15 usually ask for that, and we don't usually look at
16 it.

17 DR. MORRATO: Was there any data in the
18 application, though, that came from more of the
19 analytic chemistry kind of profile?

20 DR. STEVENS: Certainly not as part of the
21 abuse-deterrent studies. There may have been
22 under, for example, the clin-pharm sections or PK

1 sections, but I would not have reviewed those very
2 carefully.

3 DR. MORRATO: Okay.

4 DR. BROWN: Thank you. We will now break
5 for lunch. We're going to reconvene again in this
6 room in one hour -- or actually at about 1:30, if
7 we could be back by 1:30.

8 Please take any personal belongings you may
9 want with you at this time. Committee members,
10 please remember there should be no discussion of
11 the meeting during lunch amongst yourselves, with
12 the press, or with any other member of the
13 audience. Thank you. See you at 1:30.

14 (Whereupon, at 12:38 p.m., a lunch recess
15 was taken.)

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(1:30 p.m.)

Open Public Hearing

DR. BROWN: If we could return to our seats and let's get started. This is the open public session.

Both the Food and Drug Administration and the public believe in a transparent process for information-gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory committee meeting, the FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speakers, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product, and, if known, its direct competitors.

For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your

1 attendance at the meeting. Likewise, the FDA
2 encourages you, at the beginning of your statement,
3 to advise the committee if you do not have any such
4 financial relationships. If you choose not to
5 address the issue of financial relationships at the
6 beginning of your statement, it will not preclude
7 you from speaking.

8 The FDA and this Committee place great
9 importance in the open public hearing process. The
10 insights and comments provided can help the agency
11 and this committee in their consideration of the
12 issues before them. With that said, in many
13 instances and for many topics, there will be a
14 variety of opinions.

15 One of our goals today is for this open
16 public hearing to be conducted in a fair and open
17 way where every participant is listened to
18 carefully and treated with dignity, courtesy, and
19 respect. Therefore, please speak only when
20 recognized by the chairperson, and thank you for
21 your cooperation.

22 Will speaker number 1 step up to the podium

1 and introduce yourself?

2 (No response).

3 Speaker number 1?

4 (No response.)

5 DR. BROWN: Speaker number 2?

6 MR. PHILLIPS: As there are two of us, I
7 guess I get to speak for number 1 and number 2.

8 First off, unfortunately, I do not have any
9 financial incentives or anything from the
10 founder -- the sponsor. My name is Julian
11 Phillips. I'm with the U.S. Pain Foundation, which
12 is an organization, and it has become the largest
13 pain organization in the country with approximately
14 100,000 members nationwide. Our mission is to try
15 and advocate and support people with pain no matter
16 the cause or reason.

17 I have lived with pain for the past
18 34 years. I have what's called RSD, reflex
19 sympathetic dystrophy. I'm sure you're all aware
20 of it or chronic regional pain syndrome as some
21 people like to call it. It started 34 years ago,
22 as I said, when I simply dislocated my finger, and

1 it's become a problem ever since.

2 I came up to Pennsylvania, having first come
3 over to Florida, because as you can gather from my
4 accent, I'm not from here originally. I moved up
5 to Pennsylvania. I thought I had a great job, but
6 the job caused me to keep using my hand, which
7 ultimately ended up causing me to have to go on
8 disability, which I detest.

9 I do also have to use opioid medications,
10 which again I rather detest having to use because
11 they cause other problems such as OIC. The
12 medication, though, has at least made it possible
13 for me to have some kind of life. Before, I didn't
14 have a life. I was just in bed basically rolling
15 around in pain.

16 My situation is typical of thousands of
17 members who live with severe debilitating pain.
18 Everyone who lives with pain must find their right
19 combination of treatment options that help reduce
20 their level of daily pain.

21 The IOM has reported that a 100 million
22 Americans live with pain, and at least 10 percent

1 of those, or 10 million Americans, have pain so
2 severe that they are disabled by it. Just this
3 past August, the NIH reported on a study that found
4 40 million experience severe pain every year and
5 25 million experience daily pain. These numbers
6 are absolutely staggering.

7 Opioids, analgesics do not help everyone who
8 live with chronic pain, but they do help many
9 thousands of Americans to function and have some
10 quality of life. For these people, their
11 medication is often a lifeline that can make the
12 difference between a life worth living or not.

13 The purpose of this meeting today is to
14 consider whether a new formulation of
15 hydrocodone/acetaminophen short-acting
16 immediate-release opioid product has
17 abuse-deterrent properties sufficient to support
18 such labeling.

19 Hydrocodone/acetaminophen combination
20 products are the most commonly prescribed
21 medications in the country and for good reason.
22 They're highly effective for both acute and chronic

1 pain. They are useful and appropriate for a wide
2 range of painful conditions and diseases and have
3 relatively few side effects.

4 The combination presents addictive and
5 synergistic pain-relieving effects, thereby
6 reducing the amount of opioid and non-opioid
7 required with pain relief while decreasing the
8 chances of adverse events.

9 Approximately 47 million Americans use
10 hydrocodone containing analgesics in 2011 because
11 they provide excellent pain relief. It's essential
12 that these combination medications remain available
13 to millions of Americans that need them to manage
14 both acute and chronic pain. At the same time, we
15 know that these medications are highly abused, so
16 it is critical that we do all we can to deter such
17 abuse.

18 The KemPharm product being considered today
19 uses a novel approach such that active hydrocodone
20 ingredient in the medication remains inert until it
21 is broken down in the patient's gastrointestinal
22 tract. So the usual methods of abuse such as

1 crushing or melting the pill to readily access the
2 opioid substance will not produce any euphoria.

3 Consequently, if this product labeling is
4 approved, prescribers will have a safer therapeutic
5 option with novel abuse-deterrent technology
6 available for their patients who need pain relief.
7 Prescribers will be able to have a level of
8 comfort --

9 DR. BROWN: Mr. Phillips, if you could wrap
10 it up, please, sir?

11 MR. PHILLIPS: Certainly. Prescribers will
12 be able to have a level of comfort not now possible
13 with the medication they are prescribing is highly
14 likely not to be used. And currently, there are
15 obviously a lot of pain management doctors who are
16 no longer prescribing these medications because of
17 the state and federal, as well as media actions
18 against them. Thank you.

19 DR. BROWN: Thank you. Speaker number 3?

20 DR. TWILLMAN: Good afternoon. My name is
21 Bob Twillman. I'm the executive director of the
22 American Academy of Pain Management. I have no

1 financial conflicts to report.

2 The Academy espouses a model of integrative
3 pain management recognizing the important role
4 played by traditional biomedical treatments for
5 pain, such as medications and procedures, but also
6 advocating for additional treatments that may
7 supplement, complement, or even replace them and
8 the service of providing maximal improvement in
9 pain and functional status for people with pain.

10 The Academy is keenly aware that opioid pain
11 relievers and other controlled substances have
12 become controversial because of their prominence in
13 prescription drug abuse. We've been active in a
14 variety of policy advocacy efforts related to these
15 two major public health concerns.

16 One subject of these efforts is the
17 development and uptake of so-called abuse-deterrent
18 technology for controlled substances. We believe
19 that this technology in general, and particularly
20 the technology incorporated into this specific
21 product, represents a significant incremental
22 advance in efforts to protect people from

1 unintentional overdose, and that these products as
2 a short-acting opioid is especially important given
3 that we've seen a shift where short-acting opioids
4 as the primary drugs of abuse since the
5 introduction of ADF long-acting opioids.

6 The experience to-date with the one
7 long-acting product that has a sufficient history
8 of use to permit evaluation demonstrates that ADFs
9 may very well prevent a significant number of
10 individuals from engaging in this dangerous
11 behavior, thus providing a meaningful benefit.

12 There have been questions asked about how
13 many people abuse hydrocodone by snorting, but
14 there is no question that it is a route of abuse.
15 Approving this product then is a win-win. It will
16 prevent some abusers from accidentally overdosing
17 and it will ensure access for people with pain.

18 We're grateful to the FDA for its efforts to
19 support the ongoing development of ADF technology.
20 We also recognize, as I'm sure everyone here does,
21 that this is not a static process with a
22 well-defined endpoint.

1 People who tamper with these products in
2 order to abuse them are very creative, and history
3 has shown they are adept at overcoming efforts to
4 thwart them. Thus, we find ourselves in sort of a
5 continuing arms race, either to constantly develop
6 new and better technologies in order to stay even a
7 few steps ahead.

8 For that reason, I want to take this
9 opportunity to encourage both manufacturers and FDA
10 to continue innovating in the ADF space, developing
11 new approaches that may be even more impervious to
12 or discouraging of alternation, even if those new
13 approaches only buy us a few years of relative
14 success.

15 Our policy advocacy efforts related to ADFs
16 also are focused on one of the troubling aspects of
17 this form of innovation, namely the burden it
18 places on people with pain who have no intent
19 whatsoever to do anything other than use their
20 medication exactly as prescribed in order to obtain
21 pain relief.

22 Unfortunately, research and development

1 process that produces these valuable new products
2 is expensive. The cost of that process inevitably
3 is passed along to consumers. The end result is
4 that people with a legitimate medical need for
5 opioid analgesics, using them exactly as prescribed
6 for pain relief, are forced to foot the bill for
7 protecting others who are using the medications
8 illegitimately in dangerous ways that were never
9 intended.

10 It's patently unfair that this happens, and
11 while many patients can understand why it's a sort
12 of necessary evil that enables them to have access
13 to their medications, we need to find ways to
14 ensure that this unfair burden does not result in
15 patients foregoing pain relief for financial
16 reasons.

17 We'll continue working on this issue in
18 federal and state legislative bodies and regulatory
19 agencies, hoping that more will emulate success as
20 seen today in Massachusetts and Maryland. While we
21 do that, hoping that others will join us to
22 overcome opposition derived from the fiduciary

1 interest of the insurance lobby, we hope that FDA
2 will continue to encourage and that manufacturers
3 will continue to pursue innovations that will bring
4 us a few steps closer to the ultimate goal of being
5 able to provide pain relief while minimizing risks
6 to those who misuse these vital medications.

7 Thank you very much for the opportunity to
8 speak.

9 DR. BROWN: Thank you. Can we have speaker
10 number 4?

11 MS. KULKARNI: Good afternoon. I'm
12 Shruti Kulkarni, and I'm a policy advisor to the
13 not-for-profit Center for Lawful Access and Abuse
14 Deterrence, CLAAD. CLAAD's funders include
15 treatment centers, laboratories, and pharmaceutical
16 companies and are disclosed on our website at
17 CLAAD.org. Thank you for the opportunity to
18 provide CLAAD's input on the abuse-deterrent
19 properties of the proposed immediate-release
20 formulation of benzhydrocodone and acetaminophen,
21 KP201.

22 CLAAD works to reduce prescription drug

1 fraud, diversion, misuse and abuse, while also
2 ensuring that individuals with legitimate need have
3 lawful access to medications that safely and
4 effectively treat their health conditions.

5 Our organization has taken an active role in
6 encouraging a market transition of all commonly
7 abused medications to abuse-deterrent forms. We're
8 pleased that industry is responding to our
9 coalition's call for research and development of
10 safer medications to reduce prescription drug
11 abuse.

12 Medications like KP201 can satisfy patient
13 needs and improve public health and safety. In
14 assessing KP201, we urge the committee to consider
15 the following.

16 Elderly individuals and others with pain who
17 have difficulty swallowing may benefit from an
18 opioid pain reliever that may be crushed or ground
19 into apple sauce, for example, without additional
20 risk of harm.

21 With respect to intranasal abuse, data
22 presented by the Centers for Disease Control and

1 Prevention at the recent National Prescription Drug
2 Abuse & Heroin summit showed that the most common
3 transition pathway for oral opioid abuse to heroin
4 use is starting with oral ingestion of pills,
5 moving to crushing and snorting of pills,
6 continuing to snorting of heroin, and finally
7 injecting prescription opioids and heroin.

8 The ability to make intranasal or any other
9 form of abuse more difficult or less rewarding is a
10 desirable feature in any opioid. This fact is
11 underscored by yesterday's headline that even
12 anti-diarrhea medications are being misused for
13 their opioid ingredients.

14 Prodrug technology that limit the
15 availability of active pharmaceutical ingredients
16 when medications are manipulated can reduce the
17 appeal of such drugs for purpose of abuse. For
18 example, if a novel medication, when manipulated,
19 makes over 50 percent less hydrocodone available
20 for abuse per pill as compared with a traditional
21 formulation, or it takes longer to abuse than the
22 traditional formulation, then the novel formulation

1 effectively increases the cost of drug abuse, which
2 can lower the demand that fuels drug diversion.

3 Abuse-deterrent opioids, therefore, can
4 disrupt the market of medications sought for
5 purposes of abuse, which can then provide
6 meaningful opportunities to intervene and refer
7 individuals with opioid use disorders to effective
8 treatment.

9 Finally, every time an abuse-deterrent
10 medication enters the market, it increases the
11 likelihood that we can improve the quality of
12 healthcare, spur competition, fund additional
13 research and development, and eventually provide
14 patients with effective treatments that pose
15 minimal risk of addiction and overdose.

16 For these reasons, CLAAD urges the committee
17 to consider the value of abuse-deterrent opioids to
18 both patients and the public as it considers KP201
19 today.

20 Thank you for this opportunity. Please
21 contact CLAAD if we can be of service to you.

22 DR. BROWN: Thank you very much. Speaker

1 number 5?

2 MS. McLAUGHLIN: Members of the Anesthetic
3 and Analgesic Drug Products Advisory Committee and
4 the Drug Safety and Risk Management Advisory
5 Committee, good afternoon. My name is Heather
6 McLaughlin. I'm the corresponding secretary of the
7 National Association of Drug Diversion
8 Investigators, otherwise known as NADDI, a position
9 I've held for over 20 years. I have no financial
10 relationship.

11 I currently work for the Maryland Department
12 of Health and Mental Hygiene. For over 25 years, I
13 held positions at the Maryland Board of Physicians,
14 and I'm currently working for the Maryland Board of
15 Pharmacy. I am here today representing NADDI.

16 Relief from pain is important to millions of
17 individuals who suffer from chronic illness and
18 prescription drugs such as opioids -- have proven a
19 valuable tool in the relief process. However, the
20 potential for the abuse of prescription drugs,
21 especially opioids, presents a significant risk.
22 And as we are all aware, the misuse and abuse of

1 opioids has reached epidemic levels in many of our
2 states.

3 Prescription drug abuse is the fastest
4 growing drug problem in America, one that does not
5 discriminate by region, socioeconomic status or
6 age. The Centers for Disease Control and
7 Prevention have identified prescription drug abuse
8 as an epidemic, reporting more than 15,500 American
9 deaths each year from prescription pain killers.

10 An important step in the abuse prevention
11 process for both new and chronic pain sufferers is
12 the development of tamper-resistant formulas for
13 opioids.

14 NADDI is a nonprofit membership organization
15 that works to develop and implement solutions to
16 the problems in prescription drug abuse and
17 diversion. It advocates for the responsible use of
18 prescription drugs by people who need them and at
19 the same time aggressively works with law
20 enforcement and regulators to pursue those involved
21 in related criminal activity.

22 Our primary focus is training and education

1 for our members, which include law enforcement
2 personnel, regulatory agents, health professionals,
3 healthcare fraud investigators, and pharmaceutical
4 companies.

5 Continuing progress in the field of pain
6 management involves a juggling act that balances
7 the needs and interests of those involved. The
8 development process involves all the stakeholders
9 in the medical treatment of pain, clinical, legal,
10 regulatory, law enforcement, industry, commercial,
11 personal, and societal.

12 NADDI recognizes that no one approach to
13 maintaining this critical balance will succeed
14 unilaterally. Therefore, NADDI supports ongoing
15 interaction and cooperation among all who can
16 impact the access to and provision of competent
17 healthcare and who can affect diversion and abuse
18 of medications.

19 A scientific approach was taken to reduce
20 illegal street activity. In speaking with and
21 surveying NADDI law enforcement members at our
22 trainings throughout the country, it appears likely

1 that the rates of diversion decreased dramatically
2 after the introduction of reformulated opioids.

3 In October 2014, hydrocodone combinations
4 were rescheduled as class 2 controlled substances.
5 The rescheduling of hydrocodone combinations had a
6 dramatic impact on their prescribing. According to
7 the U.S. Department of Health and Human Services,
8 26.3 million fewer hydrocodone combination
9 prescriptions were written in the first year after
10 rescheduling, amounting to approximately
11 1.1 billion fewer dosage units.

12 Adding new physical and chemical features to
13 prescription opioids to deter abuse could also
14 reduce misuse of these drugs and the sometimes
15 deadly consequences. These products can be part of
16 a comprehensive approach, which should include
17 prevention, interdiction, prosecution, and
18 substance abuse treatment.

19 While the first generation of
20 abuse-deterrent formulations have reduced
21 diversion, any advances in this technology would
22 further erode the street value of opioids and

1 maintain access to the individuals who would
2 benefit from their relief would be welcome.

3 Due to the ongoing problems with
4 pharmaceutical drug abuse and diversion in the
5 United States, NADDI is a strong proponent of new
6 abuse-deterrent medicines that make it more
7 difficult for an abuser and reduce law enforcement
8 involvement in healthcare. NADDI has a strong
9 belief that the illegal diversion of prescription
10 medication has a direct negative impact on
11 legitimate patients, the vast majority who use
12 controlled substances. Thank you.

13 DR. BROWN: Thank you. Speaker number 6?

14 DR. SCHATMAND: I'd like to start by
15 thanking you for allowing me to testify before this
16 committee today. I'm Dr. Michael Schatmand. I'm
17 here as the director of research for the U.S. Pain
18 Foundation, where much of my pro bono work includes
19 advocating for patients with pain. In order to
20 fully disclose, I'm not being paid by KemPharm to
21 promote their product, although they were generous
22 enough to pay my way to Washington for today's

1 meeting. Seattle is a long way.

2 I worked, although trained as a clinical
3 psychologist, for the past 31 years in pain
4 medicine. I still see patients half time, so I'm
5 in the trenches, but I also train physicians. I've
6 been a researcher, an author. I'm currently the
7 editor-in-chief with the Journal of Pain Research.

8 I think importantly, in the context of this
9 meeting, I'm also a pain bioethicist and likely
10 have the strongest publication record in the world
11 regarding ethical issues in pain management over
12 the past decade. And I see, and some of my
13 colleagues with whom I'm spoken, the approval of
14 hydrocodone as a moral imperative.

15 Despite the protestations of anti-opioid
16 zealots and health insurers frequent refusals to
17 cover them in their efforts at cost containment and
18 profitability, abuse-deterrent and tamper-resistant
19 formulations of opioids need to be considered the
20 future of opioid analgesia.

21 Accordingly, their use in appropriate
22 patients in appropriate situations needs to be

1 encouraged and even incentivized. Even without
2 completely clear data supporting the extent of the
3 problem, as a practitioner, I've seen too many
4 patients and far more often their friends and
5 family members abuse hydrocodone nasally and
6 intravenously over the years, and the lack of
7 availability of abuse-deterrent formulations for
8 short-term use will perpetuate this problem.

9 I emphasize "short-term use" as I believe
10 the chronic opioid therapy ought to be considered
11 only among well-selected patients for whom no other
12 pain management approach is likely to be effective
13 or accessible.

14 Again, there's a moral imperative, and even
15 if it's only an incremental improvement, I believe
16 that this drug is going to save many lives, and I
17 have not been able to identify a down side to this
18 medication even if not perfect.

19 The relative safety of benzhydrocodone has
20 been established and has been discussed in the
21 recent articles by Dr. Gudin who spoke today and
22 Dr. Machu [ph] in post-graduate medicine. Really,

1 what's left is only to determine the extent of the
2 efficacy of this prodrug formulation. While abuse
3 deterrence in terms of nasal and intravenous
4 administration have been established, there are
5 also some signs from the research, as I read it,
6 that enzymatic saturation in the GI system may
7 limit the drugs' absorption if taken at ultra-high
8 doses as well orally.

9 The only way that the FDA will have the
10 clear epidemiologic evidence of benzhydrocodone's
11 full abuse deterrence potential will be if the
12 medication is approved with KemPharm planning an
13 aggressive postmarketing surveillance as discussed
14 by Dr. Mickle. At that point, we'll know just how
15 effective it is in terms of preventing abuse,
16 diversion, addiction, overdoses, and deaths. But
17 again, what is the downside?

18 Let me conclude by telling this committee
19 I've seen grandmothers in their late 70s and 80s
20 undergo routine hip and knee replacements and to be
21 sent home with short-acting, non-abuse-deterrent
22 and non-tamper-resistant formulations of opioids.

1 A few would suggest that opioids are not
2 appropriate in such situations, even the zealots,
3 as no one should have to suffer from post-operative
4 pain without analgesia. Furthermore, data indicate
5 that rehabilitation among these patients progresses
6 better if analgesia is provided on a short-term
7 basis such as the maximum two-week period of time
8 for which benzhydrocodone is being recommended for
9 use by its manufacturer.

10 While the grandmothers themselves may not
11 necessarily be at high risk for inappropriate
12 utilization, their children or grandchildren
13 perhaps may be at such risk with Dr. Gudin
14 presenting the particularly high risk for nasal
15 administration among adolescents.

16 So although a solid body of data on this
17 medication's likeability has not yet been
18 collected, it seems relatively obvious that it's
19 going to be considerably less likely to be abused
20 because it's more of a problem to do so, and that
21 those with problems with addiction will seek other
22 non-abuse-deterrent or tamper-resistant

1 formulations, which, as Dr. Darnell and I wrote in
2 2014, remained far too easily accessible.

3 In conclusion, benzhydrocodone is not a
4 panacea, nor will it completely cure the nation of
5 the scourge of prescription opioid abuse. However,
6 given the likelihood that it will represent a
7 significant improvement without a downside, please
8 give this medication some serious consideration for
9 approval. Thank you.

10 DR. BROWN: Thank you. Speaker number 7?

11 MR. BRASON: Good afternoon. My name is
12 Fred Brason. I represent Project Lazarus as CEO,
13 and I'd like to thank the committee for giving me
14 this opportunity to share.

15 We are a nonprofit organization that
16 basically is taking a public health approach to
17 reduce and prevent overdoses from prescription
18 medications, but also at the same time to present
19 responsible pain management and promote substance
20 use treatment and support services; basically being
21 a person-first organization so that the person with
22 pain gets the care and treatment that they need and

1 the person with substance use disorder gets the
2 care and treatment that they need within our
3 communities in North Carolina and elsewhere, and
4 making sure that there's a balanced approach with
5 that.

6 Well, I come from Wilkes County, North
7 Carolina, and unfortunately, in 2007, we were the
8 third worst county for prescription drug overdoses
9 in the country based on CDC data. We had many
10 different social determinant factors within our
11 rural community from poverty and trauma and other
12 issues to where we got the marvelous M's: the
13 moonshine, marijuana, meth, and medicine issues
14 that create a sort of an underground economy
15 because of the desire for the medications from
16 individuals that have substance use issues.

17 This just a quick listing, over the past
18 year and a half or so, of arrests within our county
19 for roundups from those who are diverting
20 prescription medications mainly, though some of
21 this is marijuana, and meth, and cocaine. But just
22 recently in April, there were 73 people in one

1 roundup from undercover work, mostly prescription
2 medications and mostly obtained from outside of
3 Wilkes County because we've been doing so much work
4 among our practitioners.

5 But when we see now that Wilkes was second
6 in the United States of all the counties for income
7 loss from the year 2000 to 2014, we see some of the
8 social drivers why it becomes a public health
9 issue. And abuse-deterrent formulations help us
10 take those steps in order to stop the pervasive
11 diversion, but also to stop the overdoses and
12 hopefully stop the progression of individuals
13 falling into substance use disorder and addiction.

14 Though it's hard to read and there's a lot
15 on there, these are 13 individuals from January of
16 2015 to October of 2015 that died from an overdose
17 in Wilkes County. These were Wilkes County
18 residents, and you can see the amount that was
19 found in the toxicology testing that was done from
20 those overdoses.

21 So you can see what we're up against within
22 the communities in the Appalachian region and

1 elsewhere, and why we need every tool in our
2 toolkit to be able to combat this, because the
3 social determinants, we can't turn around over
4 night; that takes much more time. But when we
5 reduce the availability and the access to those
6 that can be obtained from medicines and having them
7 abuse-deterrent makes a huge difference.

8 As I talk with our substance use providers,
9 both in Wilkes County and other places, and I talk
10 about the progression, help me understand that,
11 ingestion and moving on from snorting to injecting,
12 when I talk about that, they say it's now more than
13 ever and it's just commonplace because that's what
14 individuals are doing. Anything that has a time
15 delay, they want the instant response to the
16 ingestion or the snorting and injecting that
17 they're doing, and it's important to deter that
18 because that's what they don't want, then.

19 We realized early on with abuse-deterrent
20 formulations, when they first started to come out
21 onto the market, you couldn't give them away in my
22 town. People didn't want them. They want

1 something that's more immediate, more now, and can
2 be present in that situation.

3 So we do reach out to the prescribers to
4 make sure that they are doing best practice. We
5 present that. We make sure that they're doing
6 assessments looking at all the different aspects
7 with pain, and substance use, and mental health
8 issues.

9 This is our one quote from our narcotic
10 officer. Our docs are doing a bang-up job doing
11 the right thing. And, of course, in that now is
12 abuse-deterrent formulations so that it can't be
13 diverted. We dropped over five years -- a
14 50-percent drop from the years 2009. We work with
15 Fort Bragg, and they use abuse-deterrent
16 formulations for every single refill now, and
17 they've dropped their overdoses. They've dropped
18 their events also. We've dropped school
19 incidences.

20 All of those factors from a public health
21 approach work, and now that we're statewide, we've
22 got a 27-percent drop in emergency department

1 visits based on those coalitions in those counties
2 that adopted the model that we created and using
3 appropriate training and best practices for
4 prescribing.

5 The abuse-deterrent formulations that we're
6 talking about here today can be frontline for we in
7 the communities that have had adverse events and
8 the adverse effects from medications being misused
9 and abused across the populations that are driven
10 by the social determinants that unfortunately bring
11 about that behavior. Thank you very much.

12 DR. BROWN: Thank you. Can we have speaker
13 number 8, please?

14 DR. IWANICKI: Good afternoon. My name is
15 Dr. Janetta Iwanicki, and I'm a medical
16 toxicologist and emergency medicine physician who
17 conducts research on opioid abuse with the RADAR
18 system.

19 The RADAR system is independently owned and
20 operated by the Denver Health and Hospital
21 Authority, which operates the public hospital for
22 the City and County of Denver, and the system is

1 supported by subscriptions from pharmaceutical
2 companies that produce prescription opioids and use
3 our data for risk management and postmarketing
4 surveillance reports that are given to the FDA.

5 Subscribers have no role in the conception,
6 execution, or reporting of the analysis that I'll
7 be discussing today, and I have no personal
8 financial disclosures.

9 I'm here today to talk with you about the
10 data from our research program that shows that
11 hydrocodone is not only abused by non-oral routes
12 such as nasal inhalation, but also that abuse by
13 these routes is associated with high risks of
14 life-threatening complications and deaths.

15 The opioid abuse epidemic remains a serious
16 public health concern associated with high risks in
17 mortality impacting millions of Americans every
18 year. We know that there's a proportion of the
19 population that is at risk for developing opioid
20 addiction due to a combination of risk factors that
21 include genetic, psychological, and social
22 components. This means that even though

1 individuals may initially receive an appropriate
2 prescription for opioid pain medications to treat
3 an acutely painful condition, a proportion of these
4 individuals will progress towards addiction.

5 In the United States, 90 percent of all
6 prescriptions for opioids are for immediate-release
7 formulations. And this means that for the vast
8 majority of first-time exposures to prescription
9 opioid medications are to these immediate-release
10 medications. This includes medications such as
11 hydrocodone/acetaminophen combination products.

12 Additionally, previous research has shown
13 the majority of prescription opioid abusers
14 initiated their abuse with immediate-release
15 products. This leads to the fact that
16 hydrocodone/acetaminophen is actually the most
17 commonly reported opioid to poison center calls
18 across the United States and is responsible for
19 almost half of all opioid-associated calls.

20 Once an individual has begun to abuse a
21 prescription opioid by mouth, some will progress to
22 abuse it by another unintended route such as nasal

1 inhalation. Indeed, data from the RADAR's poison
2 center program as gathered from across the United
3 States shows that when hydrocodone/acetaminophen
4 products are used intranasally, it's associated
5 with a relative risk of severe life-threatening
6 symptoms or death of 1.66.

7 What this means is that compared to simply
8 taking the medication by mouth, the risk of
9 life-threatening complications and death increases
10 by 66 percent when hydrocodone/acetaminophen
11 medications are taken intranasally.

12 Not only is the risk associated with this
13 use high, but in all RADAR's research data set of
14 patients entering methadone treatment programs and
15 other substance abuse treatment programs, nearly
16 25 percent of patients who use
17 hydrocodone/acetaminophen state that they do so
18 intranasally.

19 Making a product such as
20 hydrocodone/acetaminophen abuse-deterrent has
21 several major benefits. Decreasing abuse amongst
22 those who are newly exposed and just beginning a

1 progression down the spiral from simple oral misuse
2 to crushing and nasal inhalation has the potential
3 to impact a large number of individuals early on
4 before their addiction becomes severe.

5 Additionally, it has the potential to decrease the
6 very high risk of these life-threatening outcomes
7 and deaths associated with nasal inhalation.

8 Finally, if only extended-release products
9 have abuse-deterrent formulations, abusers will
10 likely simply switch to non-abuse-deterrent
11 immediate-release options.

12 Not all abuse-deterrent formulations are
13 created equal. The more difficult it is to defeat
14 a mechanism that provides this abuse deterrence,
15 the less likely somebody is going to invest the
16 time and the effort that's required to defeat that
17 mechanism and the fewer individuals that will be
18 impacted by these high routes of abuse.

19 In order to preserve the availability and
20 safety of opioid medications for those patients who
21 so desperately need them for treatment of their
22 severe pain, abuse-deterrent formulations for

1 immediate-release opioid such as hydrocodone should
2 be considered very strongly by the FDA.

3 In summary, our data show that not only are
4 hydrocodone/acetaminophen products abused by
5 non-oral routes such as nasal inhalation, but that
6 abuse by these routes is associated with the high
7 risk of life-threatening complications and deaths
8 and an abuse-deterrent formulation that provides a
9 high barrier to defeat has the potential to save
10 many lives. Thank you for your time.

11 **Clarifying Questions (continued)**

12 DR. BROWN: Thank you very much. The open
13 public hearing portion of this meeting has now
14 concluded, and we will no longer take comments from
15 audience. The committee will now turn its
16 attention to address the task at hand, a careful
17 consideration of the data before the committee, as
18 well as public comments.

19 We're going to, at this point, renew
20 our -- we're going to continue working on some of
21 the questions that the committee members had about
22 the sponsor's presentations.

1 Dr. Gupta?

2 DR. GUPTA: So I've had this question all
3 morning for the sponsor. The graphs that were
4 presented on the various pharmacokinetics
5 throughout your presentation, particularly
6 Dr. Webster's presentation, is there any way to
7 reconstruct them from 0 to 30 minutes to see how
8 the pharmacokinetics, the absorption actually
9 occurs at the time that we're most interested in,
10 on onset of absorption?

11 DR. MICKLE: Could you clarify perhaps which
12 study you're most interested in or studies?

13 DR. GUPTA: Give me a minute. I'm pulling
14 it up.

15 DR. MICKLE: No problem.

16 DR. GUPTA: This was on CO-55, CO-58, and
17 CO-66 -- I'm sorry, CO-65. So those were the three
18 that I was interested in just seeing, getting it
19 very closely to see that window of time between at
20 just 30 minutes. I think that'll be really
21 important to assess what actually happens in
22 someone that's trying to use it for a rapid onset.

1 I know we've discussed it. Many of the
2 other individuals had the same question. If
3 there's any way to emphasize that or to see exactly
4 what's happening, it'll be really helpful.

5 DR. MICKLE: Sure. Are you more interested
6 in the liking or the pharmacokinetic data?

7 DR. GUPTA: All of them.

8 DR. MICKLE: All of it.

9 DR. GUPTA: I think because the time window
10 that you presented are between 2 to 4 hours. I'm
11 not really interested -- I mean I am, but not so
12 much in the elimination kinetics. I'm very much
13 interested in the absorption and the peak onset,
14 and what is happening in that window.

15 The FDA clarified many of those points in
16 demonstrating what the statistical significance is,
17 but clinically, it would be significant to know
18 what happens as well.

19 DR. MICKLE: Sure. I'll talk about the
20 pharmacokinetics and let Dr. Webster, who is an
21 expert in this field, talk about the
22 pharmacodynamic measures that were done during the

1 early time points. And I'll start with study A02.

2 So looking here again at study A02 -- if we
3 could bring up the forest plots for the early time
4 points for the pharmacokinetics?

5 As you have seen before already that there's
6 early time points, we measured 5 and 15 minutes, as
7 well as 30 minutes. If I bring up the forest plots
8 looking at those early time points, you can see in
9 study A02 at the early time points -- and we didn't
10 do the 5 minutes for AUC here, really looking at
11 half hour -- that was roughly half of the area
12 under the curve for study A02 for that first time
13 point.

14 We do have the early time data for the area
15 under the effect curve, and that was, I believe,
16 AA2. So I'll let Dr. Webster come up and speak
17 about that.

18 DR. WEBSTER: I think this was a
19 response -- this will be a response also to one of
20 the earlier questions, I think your question about
21 the p-values. This is the drug liking for the
22 intranasal administration comparing Apadaz to Norco

1 at early time points. You can think about this,
2 Dr. Gupta, as superimposed on the PK that he just
3 showed you. They very much correlate. That's why
4 the AQ actually correlates as well. But you can
5 see the statistical difference -- okay, now it's
6 up.

7 Sorry. I was talking to this slide that you
8 now see. You can see the statistical difference up
9 to and through 30 minutes. We don't have a
10 45-minute period here but you can see the trend.
11 And as I say, this is -- all right. So now, we've
12 got the drug liking that really does superimpose
13 very much on the previous slide earlier on this
14 statistical difference.

15 I want to just comment that Dr. Hertz had
16 said earlier today that when we do these human
17 abuse liability studies, we're really looking at a
18 profile, and we're using endpoints like Emax and
19 take drug again. But we really have to see the
20 whole picture in order to really know what that
21 drug might do.

22 Regardless, they're all surrogates for the

1 real world. They're not really telling us what's
2 going to happen; they're just surrogates. I would
3 say, though, that in the real world, we have a
4 unique situation, because in the real world, we
5 know hydrocodone is snorted, and this is the impact
6 of snorting hydrocodone. You get an effect within
7 the first 30 minutes that's significant enough to
8 drive that behavior. That's what Apadaz prevents
9 from occurring.

10 DR. BROWN: Can I ask a question of
11 Dr. Tolliver? I'm really uncertain about this
12 curve that we just looked at and the difference
13 between this curve and the data that you presented
14 about drug liking. If you could speak about the
15 differences in the analysis.

16 DR. TOLLIVER: Well, a lot of what I stress
17 was the fact that there's more than just drug
18 liking. There are a variety of other scales that
19 are also used as other means to look at the abuse
20 potential under certain treatments.

21 So yes, with drug liking, there is an early
22 increase in drug liking with Norco compared to

1 KP201/APAP.

2 DR. BROWN: Dr. Tolliver, can we get that
3 slide back up that we were just looking at? Right.

4 DR. TOLLIVER: I think you see it there with
5 Norco versus Apadaz. If you look at the oral
6 versus the intranasal, you're seeing the difference
7 with Norco versus Apadaz.

8 However, one of the things that I note is
9 that with respect to the overall -- for the take
10 drug again, which is an assessment that is taken at
11 the end of the treatment, there was no difference.
12 In fact, there was less than one point when people
13 were asked, if you had the choice to take Norco or
14 if you had the choice to take Apadaz again, would
15 you be willing to do it? And it had almost the
16 identical score.

17 Now, I'll take it a step further. What data
18 that I did not show you, which was provided by the
19 sponsor, is there's another VAS scale called the
20 "overall drug liking." Again, this particular
21 scale is taken at the end of the treatment or at
22 some point -- to say 12 hours and 24 hours just

1 like the take-drug-again scale is.

2 When that scale was used and these people
3 were given it, again, there was no difference with
4 Norco versus Apadaz.

5 DR. BROWN: And that was with snorting?

6 DR. TOLLIVER: Sorry?

7 DR. BROWN: That was with snorting?

8 Intranasal?

9 DR. TOLLIVER: Yes, with intranasal.

10 DR. BROWN: Thank you. Dr. Gerhard?

11 DR. GERHARD: My questions have since been
12 clarified in the following discussions.

13 DR. BROWN: Dr. Morrato?

14 DR. MORRATO: I want to go a little further
15 and kind of understand the chemistry a bit.

16 DR. MICKLE: Sure.

17 DR. MORRATO: Could you put back up -- you
18 had shown a slide, I think it was number BF-26. It
19 was the extended one of -- the 58 that I think
20 Dr. Michna was asking.

21 DR. MICKLE: Was it this one?

22 DR. MORRATO: Yes. Okay. Let me see if I

1 understand. So in this particular study, there is
2 no manipulation, correct?

3 DR. MICKLE: In this particular study, the
4 products are both crushed finely so that they have
5 a fine powder. So there's nothing else done to it.

6 DR. MORRATO: But no extraction or any of
7 those methods, right?

8 DR. MICKLE: Right.

9 DR. MORRATO: So I could also look at it as
10 they're pretty darn close. Without any
11 manipulation, we're kind of debating the first
12 30 minutes that they're very similar. So I know
13 part of the ease of deterrents is, well, how hard
14 is it to overcome the mechanism that's in place?
15 In this case, it's a prodrug.

16 You mentioned in response to Dr. Michna that
17 you do have data on singular enzymes, and I'm
18 trying to understand another way to interpret this
19 instead of just saying they swallowed it and
20 they're ingesting it.

21 What are exactly the enzymes that are
22 breaking it down? I know you made the claim that

1 these are enzymes that are found safely in the GI
2 tract, but I'm also wondering if there's enzymes
3 available because snorting it, I don't think,
4 should be going through the GI tract. So I'm
5 trying to understand that mechanism.

6 I guess do you have any clinical data that
7 gets to understanding -- I'm sure you did in the
8 development phase and the analytic chemistry
9 phase -- the enzyme aspects.

10 DR. MICKLE: Sure. We did a full metabolic
11 profile looking at all the different systems as I
12 discussed earlier. But in this particular case,
13 specific enzymes, I think is what you're interested
14 in, correct?

15 DR. MORRATO: I want to understand what's in
16 the -- if you can get a mixture over the counter,
17 or you can get it in other ways, that's just
18 another means by which someone can easily find a
19 new recipe that overcomes what is already short a
20 marginal difference, in my mind, in terms
21 of -- between these formulations.

22 DR. MICKLE: We have data that we've

1 generated internally to understand that very
2 mechanism. It has not been reviewed by the FDA.
3 So with their permission, I can show it here.

4 So this is just a partial list of many of
5 the different enzymes and family of enzymes that
6 are commercially available that we investigated,
7 looking at the percent of hydrocodone release from
8 these.

9 As I said before about the esterases,
10 esterases are very effective at breaking these
11 down. You can buy commercially available
12 esterases. They are very expensive. If you think
13 about most of those samples between \$40 to \$120 for
14 a very limited amount of esterase activities. And
15 then on top of that, you have an esterase present
16 in whatever you're going to abuse that product
17 with.

18 I think fundamentally, the question should
19 be, why would we go through that when I could just
20 swallow the tablet intact and get 100 percent
21 release in a bioequivalent fashion to the product
22 that's already on the marketplace? There's no

1 incentive here, we believe, to use the enzymes that
2 are more expensive to tamper with these.

3 DR. MORRATO: You could probably make the
4 same argument then why snort if you can take it
5 orally too, I mean, other than this time period.

6 So then tell me, then, when your claim is
7 that this is broken down in the GI tract, what is
8 the mechanism that's happening chemically that
9 leads to an effect when you are nasally absorbing
10 it?

11 DR. MICKLE: We know that when you have a
12 high volume of material that's insufflated, a lot
13 of that material actually does go down the back of
14 the throat. There's a lot of complaints of throat
15 irritation. We actually don't see much systemic
16 prodrug being absorbed in either one of our
17 intranasal studies, so a lot of that breakdown is
18 actually what you see or would see -- I'll just use
19 one example here from our study A03.

20 You actually see less exposure -- can we
21 bring up the slide that does a relative comparison?
22 We actually see less exposure compared to when

1 we've looked qualitatively to other studies
2 comparing the amount of oral release that we've
3 seen than what we saw with the prodrug itself.

4 This is a study done without all that bulk.
5 So in this particular instance, it does seem that
6 the most probable enzymes for breaking this down,
7 the most probable mechanisms for breakdown of
8 intranasal administration is actually orally after
9 the product is passed down into the GI.

10 DR. MORRATO: Okay. So I guess -- if I'm
11 using it under normal use conditions and I'm
12 nasally snorting it, then I'm getting the same
13 basic biological mechanism of action as if I had
14 swallowed it, correct?

15 DR. MICKLE: That really has been our goal.
16 There's no incentive for an abuser to snort the
17 product because they'll get the exact same effect
18 as if they swallowed it.

19 DR. MORRATO: All right. Thank you.

20 DR. BROWN: Dr. Stergachis?

21 DR. STERGACHIS: Thank you.

22 Andy Stergachis. This is for you, Dr. Mickle.

1 It's about esterases.

2 What mechanism of action is bond cleavage
3 through esterases, but my question is whether you
4 have any data or aware of the literature as to
5 whether or not there is human genetic variability
6 in esterase activity? And I'm really getting at
7 the question of whether there's a pharmacogenomics
8 dimension to any of this that might or might not
9 suggest variance in esterase activity by race,
10 ethnicity, gender, concomitant illnesses.

11 We've been given very little information
12 about the characteristics of the patients, for
13 example, in the studies. But that's a side
14 question for you. But my real question has to do
15 with to what extent are esterases different with
16 respect to their properties or their rapidity of
17 action based on genetics?

18 DR. MICKLE: We actually did examine this.
19 When you look at esterases that are involved in
20 digestion, there's not a lot of variation between
21 people because, again, those are required for
22 proper digestion of food.

1 There are a few rare diseases that have
2 issues related to this as far as what the
3 propensity for those esterases, but it doesn't seem
4 to be specific to one type of esterase. So we know
5 there's a host of esterases found in the GI tract
6 and different types that could be involved in the
7 break down.

8 What I'd like to just quickly show is the
9 coefficient of variance for our bioequivalent
10 studies and some of our PK studies that we did in
11 healthy volunteers because we didn't do an efficacy
12 trial because, again, we're bioequivalent to the
13 references to drug.

14 This not a surrogate for any means to show
15 the different populations that may be affected
16 here. But if you look at just the coefficient of
17 variation between the different parameters of Cmax
18 and AUC last, they're very, very similar to Norco.
19 So there wasn't a lot of variation in our studies,
20 both for Norco and Apadaz. We saw a breakdown that
21 was very, very consistent.

22 One thing, just to recall, is the

1 hydrocodone is nearly 100 percent bioavailable. So
2 it'd be difficult for us to -- I believe it'd be
3 difficult for us to achieve bioequivalence if there
4 was a lot of genetic variation because, again, you
5 have such a high bar to try reach to obtain exactly
6 the same exposure to the drug when taken as
7 intended.

8 DR. BROWN: Dr. Shaw Phillips?

9 MS. SHAW PHILLIPS: I wanted to go back to
10 your slides about extraction of hydrocodone,
11 slide CO-26. They seem to be maybe showing
12 something different or trying to paint a pretty
13 picture because it seems to me they're inconsistent
14 with the later slides or your later discussion when
15 you're talking about extraction maybe 60 percent.

16 So one of the questions is, are you
17 extracting the prodrug rather than hydrocodone? So
18 what are you measuring in this slide? You're
19 purporting to say you're not extracting anything,
20 but that's really not true, right?

21 DR. MICKLE: That's right. And that was not
22 our intension to say that. We're not releasing any

1 hydrocodone from these extraction methods. So
2 these common methods that abusers would use
3 first -- because it's in everybody's kitchen; these
4 are the first things you would probably
5 encounter -- all they do is actually extract out
6 the inactive prodrug. So I actually have those
7 levels here, too, just not on the same slide,
8 unfortunately.

9 Here, you see, you do get the prodrug in
10 this particular instance out with most of these
11 commonly ingestible solvents.

12 MS. SHAW PHILLIPS: Because I'm really
13 trying to wrestle with what the advantage of this
14 agent is, the likeability, the high and everything,
15 even with very high oral doses is pretty consistent
16 with the comparator product. And then somebody
17 that was trying to abuse larger doses and was a
18 little more sophisticated, that wanted to try and
19 separate out the acetaminophen, it looks like it
20 would not be that difficult to get at least
21 clinically significant amounts of separated
22 acetaminophen so they could safely give larger

1 doses without liver toxicity.

2 So it just seems to me that the clinical
3 advantage of your abuse-deterrent is extremely
4 small.

5 DR. MICKLE: I'll just touch on a couple of
6 points that you made. First, we did see
7 differences, and the FDA agreed with us, in the
8 exposure to the hydrocodone that was released from
9 Apadaz.

10 This, again for some perspective here, at
11 least from my perspective, is pretty unique. We've
12 never seen an opioid that self-limits at high
13 doses, even a little bit. Now, that may have led
14 to the same liking, but we all know that drug
15 exposure is really the ultimate risk. And when you
16 talk about high doses, maybe the difference here
17 could have something. We have more work to do with
18 this. It's a very interesting finding.

19 I think the other part to that question is
20 the A03 data, if we could bring that up as well.
21 When you remove -- the PK data, please?

22 When you remove the acetaminophen, you

1 actually make this a better abuse-deterrent
2 product. So the removal of the acetaminophen
3 actually made this less exposure to the hydrocodone
4 than when you took it with the acetaminophen
5 present.

6 Again, that was because of the bulk of the
7 material that went down the back of the throat.
8 Here, there was far less of it. It eventually took
9 longer, and not as much of it made it down there
10 and is effectively broken down.

11 So I think there is an incremental benefit.
12 I will agree that it's not tremendous, but right
13 now, there's no other abuse-deterrent products in
14 this space. Immediate-release combination products
15 are very, very difficult to make an effective pain
16 medication and have it be abuse-deterrent because
17 you need that drug to come off immediately.

18 DR. BROWN: Dr. Perrone?

19 DR. PERRONE: Thank you. This might be so
20 obvious. It's a question for the sponsor,
21 slide 40. My question is nobody has really
22 addressed the product, benzoic acid, and for this,

1 if you imagine somebody got a whole bottle of this
2 product and did the derivatization to make it
3 soluble to inject it, there was an epidemic of
4 something called neonatal gasping syndrome in the
5 1980s, where benzyl alcohol was a diluent in
6 heparin flushes, and the babies were getting a
7 build-up of benzoic acid leading to a metabolic
8 acidosis.

9 What happens to the benzoic acid in these as
10 the prodrug is cleaved off? Do we know that in
11 cumulative IV dosing somebody isn't also going to
12 get sick in another way?

13 DR. MICKLE: I don't think that's fully
14 known, again, for IV injection for this particular
15 product. And we did a simulation here, so we don't
16 know ultimately what this product looks like in a
17 human.

18 What we do know is that benzoic acid has
19 been shown to be safe for injection, so there's a
20 product that's a mixture. It's used, again,
21 already in the pediatric setting.

22 The other things that we do know about

1 benzoic acid -- I'll just give you a second to go
2 ahead and read that slide -- is that this has
3 generally been recognized as safe by most, if not
4 all, regulatory bodies as far as what the amounts
5 could be. There's very little of it in our actual
6 product, 1.85 milligrams per tablet. If you take
7 4 to 6 of those a day, again, you're probably in a
8 very modest range for intake.

9 There's more benzoic acid, sodium benzoate,
10 its salt form, in those carbonated beverages we've
11 all been consuming all morning to keep us awake.
12 So here, benzoic acid is, again, something that
13 we're not terribly concerned with safety.

14 DR. PERRONE: That's PO. But if you go back
15 to the slide that was parenteral?

16 DR. MICKLE: It was.

17 DR. PERRONE: Okay.

18 DR. MICKLE: So I'll bring that back up.

19 DR. PERRONE: Thank you.

20 DR. MICKLE: Yes. This is an injectable
21 product.

22 DR. BROWN: Dr. Craig?

1 DR. CRAIG: Thank you. Just a quick
2 question about numbers. On slide 65 of the
3 company, the N's are just not matching up for me
4 here. You have an N of 24 on the right, and then
5 on slide 67, slide 68, you had an N of 51. Can you
6 help me understand why those N's are different?

7 DR. MICKLE: Sure. I think Dr. Tolliver
8 actually went through the reasoning behind here.
9 But if we could bring back up the PK slide, A03?

10 So in this particular case, we actually did
11 two cohorts, and it was unfortunate there was a
12 laboratory error in processing the pharmacokinetic
13 samples once they were analyzed.

14 But during the course of this study, every
15 subject was done in the exact same fashion. So we
16 were actually able to take blood, do the liking
17 measurement, take the ease of snorting
18 measurements, all the, really, four information
19 measurements that we took, we were able to do that
20 for both cohorts.

21 So the N value differences that we're
22 showing are, one, we analyzed the pharmacokinetic

1 data for, and the second, we analyzed all of the
2 collected pharmacodynamic measures during the
3 study.

4 DR. BROWN: Dr. Donovan?

5 DR. DONOVAN: Actually, my question
6 previously was answered, but I do have a follow-up
7 back on CO-55, the famous slide we've gone through
8 numbers of times.

9 My somewhat basic question is, we're all
10 very impressed with a higher number because we all
11 just are used to thinking higher is better. But
12 really, what's the threshold concentration for
13 effect or liked effect? And if it's only 10, the
14 differences between these products are pretty
15 minimal.

16 DR. MICKLE: So I don't think that's
17 well-known. Dr. Webster, maybe you want to talk
18 about clinical relevance of the PK here?

19 DR. WEBSTER: I can speak more to the PD
20 than the PK. We don't really know what is
21 clinically relevant with regard to differences in
22 liking.

1 Remember on a bipolar scale, you've got
2 50 points. There's literature that says on a
3 unipolar scale, a difference of 10 is probably
4 clinically significant. And I don't think you can
5 say in half because of it being a bipolar that 5 is
6 clinically significant.

7 I would say, though, that -- I go back to
8 what I mentioned earlier. We know the behaviors of
9 people who want to snort hydrocodone is reflected
10 in the difference that we see with intranasal
11 versus oral. That is well-known in the community.

12 So whatever that difference is, it is
13 driving people, at least a subset of the
14 population, to use hydrocodone intranasally.

15 DR. MICKLE: And maybe Dr. Gudín, you would
16 want to give your physician's perspective here as
17 well.

18 DR. GUDIN: I don't have much to add to what
19 Dr. Webster said. But I could tell you when I look
20 at this curve on liking, and I look at the
21 difference between Norco oral and Norco snorted, as
22 Dr. Webster mentioned, this graph that we're

1 looking at here is the primary reason that people
2 progress from the oral route to the intranasal
3 route. That is the gateway; that's the progression
4 of substance abuse that we see clinically.

5 So patients start out taking it orally and
6 then to gain more reward, financially and more kind
7 of bang for the bucks so to speak, then they go to
8 use it intranasally as you see there.

9 So from a clinical perspective, to me
10 looking at this slide, as well as when you look at
11 the likeability data, this is what drives home to
12 me the -- or what imparts the abuse-deterrent
13 features of Apadaz versus Norco, is that there is
14 no difference that we see there between liking.

15 As far as the number, I've looked at a lot
16 of the -- or all of the literature, I can safely
17 say, on the human abuse potential studies, and I
18 just don't think we know, whether it's a 5-point or
19 a 25-point difference, that's the clinically
20 meaningful difference in likeability.

21 But remember, as Dr. Webster said, those are
22 bipolar scales, so that 10-point difference is not

1 on the 0 to 100. That point different is on the
2 50-100.

3 DR. BROWN: Dr. Donovan?

4 DR. DONOVAN: Can I follow up the question
5 with another comment? Because I don't
6 really -- the likeability scales are a little too
7 squishy for me, so I like real concentration
8 numbers, and that's what I'm looking for.

9 What's the minimum effect of concentration
10 of hydrocodone or the minimum concentration in the
11 plasma where somebody will report an effect?

12 DR. GUDIN: I think that's a difficult
13 question to answer because we're dealing with a lot
14 of different factors. Everyone is going to respond
15 differently. We know this even with liking.

16 So if you're talking about analgesia versus
17 liking -- when we do human abuse liability studies,
18 we have a lot of placebo responders that are going
19 to, at no level, have a tremendous response. And
20 then we have people that have a very high level who
21 have no response.

22 I think that genetics plays a role in that,

1 but there are a lot of factors that contribute to
2 one's experience with a drug. So the nanogram
3 level, or picogram level or milligram level is very
4 much individualized. And we can look at a clinical
5 trial and look at what the mean is that has
6 generated an effect, but otherwise, I think it's
7 hard to give you a number.

8 DR. MICKLE: Dr. Webster, maybe you want to
9 talk a little bit here because this is a PK-derived
10 function of the AQ as rate of rise into the brain,
11 really probably being a surrogate here for exactly
12 what you're looking for.

13 DR. WEBSTER: Yes. This is the
14 quantitative; this is the number. Maybe this isn't
15 what you were looking for, but let me just say,
16 though, that Cmax is not the only factor that
17 determines liking.

18 I mean, everybody knows that people like to
19 shoot up to get the fast response. The non-opioid
20 effect analogy is a cigarette versus a nicotine
21 gum. Nicotine gum does not get you the same effect
22 as a cigarette, not as fast and not as high. And

1 that's kind of what we're looking at with the abuse
2 quotient. The rate of rise and the level that it
3 gets to have to be combined in order to really have
4 the full appreciation of the abuse potential.

5 DR. BROWN: Dr. Higgins?

6 DR. HIGGINS: I'm particularly interested in
7 age. I see that the data are really truncated at
8 18, and that's awfully young. I'm wondering if you
9 have any experience with the likeability, nasal
10 use, first time using IR? Any of that would be
11 really helpful for me to hear.

12 DR. MICKLE: Are you looking for clinical
13 experience or just epidemiologic data?

14 DR. HIGGINS: Either.

15 DR. MICKLE: Either. Maybe Dr. Webster, you
16 can talk about the clinical experience with anybody
17 younger than 18 in our human abuse liability study?

18 DR. HIGGINS: Older than --

19 DR. MICKLE: Older than --

20 DR. WEBSTER: So these clinical trials
21 obviously have to be 18 and above. But most of the
22 individuals that enter the studies are between 20

1 and 30. And if they're entering an intranasal
2 study, they'd been recreationally intranasally
3 using drugs. That's a criteria. So there's an
4 abundance of young people between 20 and 30 who are
5 intranasally using opioids.

6 DR. BROWN: Dr. Shoben?

7 DR. SHO BEN: Yes. I have two questions.
8 One is just a factual question about the A03 study,
9 where you were doing the active product. Was that
10 dose supposed to equivalent to the 2 tablets that
11 were crushed? Because that was a little unclear.

12 DR. MICKLE: Yes. The dose was intended to
13 be equivalent because we already had data that was
14 directly comparable from that.

15 DR. SHO BEN: Okay. And then I know that
16 there were two different groups of subjects, but
17 certainly the abuse quotient, the measure that
18 you're trying to advocate for is perhaps more
19 relevant than the Emax.

20 You saw a huge difference in terms of the 87
21 versus 17 -- this is on slide 66, yes -- but then
22 you don't see that correlating with anything else.

1 So in fact, it seemed like the drug -liking
2 measures were in fact lower, on an average, in the
3 study than in A02.

4 DR. MICKLE: Probably Dr. Webster will be
5 great at answering the pharmacodynamics here. I
6 think in this particular case, since we saw the
7 delay in the Cmax with the A03 study, as well as
8 the truncated Cmax, that's why you get the much
9 lower number. It, again, essentially didn't break
10 down as quickly as hydrocodone went in very, very
11 rapidly. And actually, abuse quotient is actually
12 a very good way to compare across studies.

13 Now, for liking data, I think you can't
14 compare across studies, correct, Dr. Webster?

15 DR. WEBSTER: [Inaudible - off mic].

16 DR. MICKLE: He said no, if you can make him
17 stand up again.

18 DR. WEBSTER: You can't because it's really
19 about that subject population. In doing the
20 comparison, there are always double-blind
21 crossover, so everybody gets all doses and a
22 placebo. And it's very unique. The response is

1 very unique to that particular person, so you
2 really can't compare from one study to another, the
3 liking.

4 I would say that with this A03 though that,
5 the study, we didn't -- as FDA had indicated, we
6 did not put them through a discrimination phase,
7 which is an enrichment process to find people who
8 are most sensitive. And that actually made, as I
9 said, I think the results more dramatic because,
10 normally, we recruit individuals who like the drug
11 and like that particular molecule, and have to like
12 it to a certain minimum level to even get into the
13 study. And we didn't do that with this population.
14 And despite that, there was a huge separation.

15 DR. MICKLE: And maybe one point again why
16 we designed the study the way we did, in this
17 particular instance, it was entirely meant to be a
18 pharmacokinetic study because nobody has ever put a
19 pure prodrug in somebody's nose to see how it
20 breaks down. We really wanted to know happened
21 when that occurred, so we really were focused on
22 the pharmacokinetics.

1 Using the secondary measures here as liking,
2 with this particular product, I think it was very
3 much trying to mimic a real-world scenario, just
4 like the FDA said, where somebody is able to get
5 rid of all the acetaminophen.

6 So what would happen if they tampered it to
7 the extreme, got rid of all the acetaminophen, and
8 then try to abuse it? And we know that it's going
9 to actually produce a much lower exposure to the
10 drug than what we saw before.

11 DR. BROWN: Dr. Bateman?

12 DR. BATEMAN: This question is, again, for
13 Dr. Webster, and maybe we can put up FDA's
14 slide 15, Dr. Tolliver's presentation. Thank you.

15 For the sponsor, I'm just trying to
16 reconcile, if the abuse quotient or time to Cmax
17 are really what drive the likeability of the drug
18 when ingested via the intranasal route, how do you
19 reconcile your data showing a delay to Cmax and a
20 different abuse quotient with the fact that the
21 take-drug-again VAS are essentially the same in
22 Norco and KP201?

1 DR. MICKLE: I'm going to ask Dr. Webster to
2 get up again. He really wanted this exercise
3 today.

4 (Laughter).

5 DR. MICKLE: So we're going to continue
6 this. But I think a couple notes about take drug
7 again, one thing, at least from my perspective when
8 I look at this measure for other products, I look
9 most closely at the product that was before this
10 committee last year, or late last year, was
11 Xtampza.

12 That product showed dramatic differences in
13 Emax. Again, it's an ER product versus an IR
14 product. There was substantial differences in the
15 pharmacodynamics and the pharmacokinetics involved
16 with that. But there was no statistically
17 difference in take drug again.

18 That product is a 12-hour product,
19 extended-release, and they're taking measures at 12
20 and 24 hours. So it's, really, real time for them.
21 This happened -- the peak effect happened at 30
22 minutes for the abuser. How are they going to

1 remember how they felt about that product 12 and
2 24 hours after that?

3 So maybe, Dr. Webster, you have more to add
4 about this scale because you've been a bigger part
5 of this.

6 DR. WEBSTER: Well, as I said earlier, the
7 scales are obviously important, but we can't
8 overstate the value of any one of them.

9 Remember that they're still going to like
10 the drug. These are individuals who like taking
11 drugs. They're recruited because they like drugs.
12 And some of them actually begin to salivate knowing
13 that tomorrow is the day they get to have their
14 fun.

15 The psychology about all of this has to be
16 taken into account. They're still getting an
17 effect. So take drug again, does that really
18 measure the intranasal difference of the effect or
19 that they received an effect, they benefited from
20 the high, the rewarding, the liking properties
21 sufficient that they would say, I'll take it again?

22 DR. BATEMAN: But this isn't being measured

1 on a binary scale, right? This is a continuous
2 measure. So wouldn't you expect if they were
3 getting a better high, that it would push the
4 difference in some way?

5 DR. WEBSTER: I think that that's a fair
6 question. I think that's a research question, why
7 they don't separate.

8 DR. BROWN: I have two questions before we
9 move on. One is that apparently grapefruit juice
10 inhibits the gut esterases. Have you looked at the
11 effect that that has on the activity of this
12 analgesic?

13 DR. MICKLE: We have not investigated that.

14 DR. BROWN: To your knowledge, are there any
15 accelerants to the analgesic? I'm thinking back to
16 genomic properties of drugs like codeine that have
17 accelerated metabolism. This is not what I'm
18 asking about. But I'm thinking about food products
19 that may accelerate the esterase metabolism of this
20 prodrug.

21 DR. MICKLE: No, and I think the point here
22 to bring up is that since hydrocodone is already

1 close to 100 percent bioavailable and we're
2 equivalent to that, there is no way to accelerate
3 that further because you have a complete mass
4 balance with this product.

5 Every molecule that went in breaks down very
6 readily in the GI tract before being absorbed. So
7 we don't see prodrug at all systemically. There's
8 no exposure beyond that in the GI. So I don't know
9 if that helps answer your question at all.

10 DR. BROWN: Yes, it does. The second and
11 last question actually is, in the oral studies that
12 were done, the treatment group received almost 4
13 grams of APAP at one time, and I just wondered how
14 those patients did.

15 (Laughter).

16 DR. MICKLE: Actually, I don't believe
17 there's any AEs related to liver effect.

18 Dr. Webster, do you recall?

19 DR. WEBSTER: No.

20 DR. MICKLE: Yes. Again, all these were
21 opioid effects in that study. We looked at
22 clinical, chemistries, and so forth.

1 DR. BROWN: All right. We're going to move
2 on now --

3 DR. MICKLE: There was one question that I
4 didn't get a chance to clarify from earlier. I
5 don't know if you want to bring it up here. It's
6 about the solvents and the differences. It'll take
7 two seconds.

8 DR. BROWN: Absolutely.

9 DR. MICKLE: Thank you. So this is just a
10 clarification. Again, there's nothing confidential
11 presented here, just a clarification between the
12 Solvent X and the Solvent G.

13 We called it Solvent X in this particular
14 instance. It is an advanced buffer. What we saw
15 with this particular stress condition is that, yes,
16 at 4 hours, post-initiation of the extraction, you
17 would get 60 percent hydrocodone. If you did it
18 for any less or any more, you weren't able to
19 get -- it actually reduced it quite substantially.

20 So there was a magic point here for some
21 reason. Very early on in the extraction
22 conditions, the whole solution turned black. And

1 one of the buffers that we added to this to make
2 this buffer has actually been banned by the FDA as
3 a food additive. So this is not an ingestible
4 solvent in our view.

5 FDA Solvent G, from what we saw before,
6 there was advanced laboratory equipment that was
7 required to maintain this stress temperature. And
8 then again, it still went for 3 hours.

9 So the statement I made previously regarding
10 why would you do these things that take many, many
11 hours when you can just swallow it to get the very
12 full effect within an hour or 2 hours, I think,
13 just hopefully is highlighted here with these two
14 examples. Thank you for your time.

15 DR. BROWN: Thank you.

16 Dr. Hertz will now provide us with the
17 charge to the committee.

18 **Charge to the Committees - Sharon Hertz**

19 DR. HERTZ: Thank you. As we proceed to the
20 questions, I'd like you to consider several
21 concepts along with the data that have been
22 presented.

1 First of all, because these products are
2 analgesics, they have to be able to deliver the
3 opioid. So these are all going to remain abusable.
4 "Abuse-deterrent" does not mean abuse-proof. It
5 means that there's something about the formulation
6 that makes it less amenable to abuse through a
7 variety of either methods or routes. There's a big
8 range of these products under development. But we
9 don't expect "abuse-deterrent" to mean "abuse-
10 proof." So that's number one.

11 We accept that there's an overall public
12 health benefit to incremental improvements, so that
13 makes it challenging for sponsors because the bar
14 will continuously change potentially as new
15 products come to market. But because there is no
16 absolute value that we can declare for everything,
17 incremental improvements are really acceptable.

18 We do encourage the development of
19 immediate-release abuse-deterrent formulations, as
20 well as the extended-release.

21 As described in the guidance for industry on
22 the development of abuse-deterrent formulations of

1 opioid analgesics, when pre-market data show that a
2 product's abuse-deterrent properties can be
3 expected to result in a meaningful reduction in
4 that product's abuse, those data, with
5 characterization of what they mean, can be included
6 in the labeling.

7 It's extremely important to understand the
8 abuse-deterrent properties relative to a relevant
9 comparator, and that's also in our guidance. In
10 particular, the guidance directs the investigator
11 that the standard against which each product's
12 abuse-deterrent properties are evaluated will
13 depend on the range of abuse-deterrent and non-
14 abuse-deterrent products in the market at the time
15 of that application.

16 So abuse deterrence is a relative
17 phenomenon. It can be established only through a
18 comparison to another product.

19 So as you can see, there's differences in
20 the interpretation between our understanding of the
21 results of these studies and the sponsor's.
22 Dr. Webster is an expert, that's well-recognized,

1 and he presented a number of important and
2 interesting analyses and interpretations along with
3 Dr. Gudin.

4 We have a fair amount of experience with
5 these now as well. We really do focus or weigh
6 willingness to take drug again very much in
7 conjunction with the other pharmacodynamic measures
8 of drug liking and drug high. Because we don't
9 have that nice PK/PD relationship that Dr. Donovan
10 was looking for, we cannot rely on PK at this time,
11 and we must have the PD assessments to go along.

12 So we're interested in products that these
13 subjects really do find less desirable. And not
14 only can willingness to take the drug again provide
15 context for liking and high, which provide context
16 for one another, it also provides context for
17 adverse events because in some situations where we
18 have abuse-deterrent products that have irritating
19 qualities, they may produce very much the same
20 liking and high, but there could be a big
21 difference in take drug again when it is
22 substantially irritating.

1 We've actually presented a product with that
2 characteristic a number of years ago. The product
3 had other problems, so it wasn't approved but we
4 did see that type of separation.

5 So we don't feel that there are differences
6 that meet the criteria. And I will also say that
7 in the context of these pharmacodynamic outcomes,
8 we have products where we have seen willingness to
9 take drug again correlate, give context in a
10 meaningful way, to differences in drug liking and
11 high because we've approved six products with the
12 modern language, the modern labeling as described
13 in the guidance.

14 So there is an opportunity for these to
15 correlate. We do know that there are circumstances
16 where they separate where it's not just one of the
17 three.

18 So our questions are going to ask you to
19 discuss the relevance, first in general, of the
20 intranasal route for products like Apadaz that have
21 hydrocodone and acetaminophen as the active
22 components and whether there are -- subsequently to

1 that question, we need to know if you believe that
2 there are abuse-deterrent effects relative to the
3 comparator.

4 So for any of the routes, are there
5 differences relative to what's out there that would
6 warrant choosing this because there is a belief
7 that there will be a deterrent effect?

8 Then we'll go on to ask you additional
9 questions about whether you think it should be
10 approved, and if so, what the appropriate labeling
11 would accompany it.

12 So once again, let me just thank you for
13 your time, your commitment to helping us with these
14 really important questions. I think that if we're
15 going to support the abuse-deterrent products, the
16 development of these, we have to make sure that we
17 understand that we're maintaining a standard that
18 when these products go out on the market, people
19 can expect there to be some value added. Thank
20 you.

21 **Questions to Committees and Discussion**

22 DR. BROWN: Thank you.

1 We're going to begin with question 1.
2 Question 1, please discuss whether the data
3 presented for hydrocodone and acetaminophen
4 combination drug products support that the nasal
5 route of abuse is relevant for KP201/APAP? And we
6 want to get a very vibrant examination of this and
7 get everybody involved in this.

8 Anybody have any questions about the wording
9 of the question? Dr. Michna?

10 DR. MICHNA: Yes, I do. It's kind of a
11 general question. If it's an active compound and
12 the indication is going to be moderate-to-severe
13 pain -- I mean, that's the indication, correct?

14 DR. HERTZ: Well, question 1 --

15 DR. MICHNA: For the vote. For the vote,
16 I'm talking about.

17 DR. HERTZ: Oh, for the vote later on?

18 DR. MICHNA: Yes.

19 DR. HERTZ: About the indication?

20 DR. MICHNA: Yes. It says, should it be
21 approved for the approved indication?

22 DR. HERTZ: Yes, the approved -- we don't

1 know exactly. We have this very large initiative
2 going on for the immediate-release opioids.

3 DR. MICHNA: Okay.

4 DR. HERTZ: So there are going to be a
5 number of changes in labeling that may overlap with
6 indication. I don't know what the final indication
7 for this product will be.

8 The proposed indication is, I believe,
9 moderate-to-severe acute pain. We can consider it
10 in that context. But basically, it would be a
11 similar indication as Norco.

12 DR. MICHNA: So the question involves
13 whether it meets that indication, not anything
14 about the abuse deterrence?

15 DR. HERTZ: Yes.

16 DR. BROWN: Dr. Morrato, do you have a
17 question?

18 DR. MORRATO: Just a follow up to that just
19 to make sure I understand. So abuse deterrence is
20 not a condition of approval of new
21 immediate-release or new opioids?

22 DR. HERTZ: Okay.

1 (Laughter).

2 DR. HERTZ: We have about 18 reasons not to
3 approve a product listed in our regs. Abuse
4 deterrence wasn't even on the radar in that
5 setting. But I think that we can think about it
6 still in the context of our overall range of
7 reasons not to approve a product.

8 Basically, we want to know if it's going
9 to -- for the intended population, if it's going to
10 work the way it's expected, if we understand enough
11 about its safety, and if we think the balance is
12 acceptable, if we have enough data from all the
13 different disciplines, the CMC, the nonclinical,
14 the clinical, facilities, all those basic things.
15 And then we also have criteria based on if the
16 labeling is accurate and supports what we know
17 about the product.

18 So the question about whether we can approve
19 an abuse-deterrent product if we don't think it has
20 abuse-deterrent properties, or if we do, would be
21 based on whether you think that there are any
22 unintended consequences that would alter the

1 risk-benefit for the patient.

2 So in the fall, in September for instance,
3 we had a product that -- we actually had a safety
4 concern that was formulation-based that influenced
5 our thinking, that influenced the committee. And
6 the committee voted against approval even though it
7 looked like it would effective, it was somewhat
8 comparable to the comparator, and we had a full
9 evaluation of the abuse-deterrent properties.

10 So we need to look at the -- so the question
11 of should it be approved for the proposed
12 indication really does try to take into account if
13 we think it's safe, if it's effective, if the
14 newness of the product under consideration offers
15 any expected less safe aspects. So it's a huge
16 thing.

17 It does get difficult, though, where there
18 might be a difference in opinion between the effect
19 in the intended population and the effect for the
20 public health value. And that's what makes this
21 whole area so challenging, is to understand how to
22 integrate those two.

1 The best I can advise you is think about
2 whether you think this product should be approved
3 for that type of indication and if you feel that
4 it -- we're going to ask you about the labeling
5 anyway. But if you somehow are conflicted by your
6 thoughts on these different aspects, you can
7 express that when we go around and ask for an
8 explanation for why you voted the way you did and
9 what your thinking was there.

10 That's the best I can offer because we
11 struggle with this as well.

12 DR. BROWN: Back to question 1,
13 Ms. Shaw Phillips?

14 MS. SHAW PHILLIPS: I'll just break the ice
15 on question 1. I think that the evidence is there
16 that even though it may be a small percentage, that
17 with the large availability and large uptake and
18 large use of hydrocodone, that even if it's
19 5 percent of abusers and a potential pathway to
20 increase abuse, that intranasal route is a
21 significant one to be considered.

22 I think it's a whole different question from

1 whether there's a real incremental advantage with
2 the product or not. But I think the intranasal
3 route is a potentially significant route of abuse.

4 DR. BROWN: Dr. Israel?

5 DR. ISRAEL: Yes, I'm glad you started. I
6 would agree that drug abusers versus drug users are
7 two different kind of animals in a way, or maybe
8 just in a different progression or different
9 personality or whatever.

10 But if the intranasal route -- if this drug
11 will help control the transition of people from
12 oral to intranasal route -- which we do know that
13 some drug abusers do run that whole progression of
14 that slide from oral to intranasal to IV usage,
15 that direction in terms of developing an
16 addiction -- then I think the drug is worth giving
17 it a shot because of that.

18 DR. BROWN: Dr. Gerhard?

19 DR. GERHARD: Toby Gerhard, Rutgers. Well,
20 I take the opposite perspective here. Obviously,
21 the data isn't very strong, but we have a very
22 small proportion of abusers, not users -- the data

1 was based on drug abusers -- that either
2 exclusively or preferentially have endorsed the
3 nasal route. There's a larger proportion that uses
4 the drug this way, but preferential or exclusive
5 was in the rate of 5 percent of the drug abusers.
6 So it's a pretty small proportion.

7 Then the big question, given that virtually
8 everybody uses the drug orally, this wouldn't
9 reduce abuse. It would only reduce the abuse
10 through that specific route.

11 So then the question becomes, is there
12 something that this specific intranasal route
13 contributes that makes the problem worse? And we
14 have been told about kind of this transition or
15 progression from oral to intranasal to injection,
16 but we really haven't seen any evidence that that's
17 an issue. We have seen that it happens, but
18 whether there's a causal effect or whether it's the
19 result of increasing dependence, we don't know.

20 We have seen no evidence for this, so I'd be
21 very skeptical to say that the intranasal route
22 here really is a relevant target. So in other

1 words, if we would reduce it, would we have any
2 effect on dependence and the ultimate adverse
3 outcomes of overdose admissions, overdose deaths.
4 So I don't know that we have evidence for this.

5 DR. BROWN: Dr. Kaye?

6 DR. KAYE: Alan Kaye, LSU. I think the
7 nasal route is relevant, and I also believe that
8 there is a gateway path from prescription pills and
9 their modulation through nasal, and then
10 intravenous, and finally to heroin.

11 So I think it is relevant, and I think
12 clinically, I personally have been tricked by many
13 people who come in different sizes, shapes, ages,
14 genders, who were abusing prescription pills
15 nasally for a very, very long time. And I'm sure
16 that I am still being tricked by some.

17 So I think it's E, all of the above. All of
18 these pathways and all of them are a problem. And
19 at least there's some positive here on one piece of
20 the puzzle. Thanks.

21 DR. BROWN: Dr. Campopiano?

22 DR. CAMPOPIANO: Melinda Campopiano. I'm

1 either going to agree with everybody or disagree
2 with everybody. I'm not quite sure which.

3 I do think the route of nasal abuse is
4 relevant for the product, and I think I've heard
5 people say that so far. But I also think that it's
6 only relevant for the product.

7 I don't think we can say that having this
8 product be less likeable is, in any way, going to
9 prevent somebody with severe addiction from
10 progressing to an intranasal route of
11 administration or something, because the way we're
12 analyzing this, the way it's constructed and the
13 way it has to be, the discussion is comparing this
14 to a comparable product as if it's a closed
15 universe. The fact is people have options, and
16 their option is not swallow this, or take it
17 intranasally, or take it intranasally, or take its
18 equivalent intranasally.

19 So while I think it's important to
20 distinguish what we can say about the likelihood of
21 this product being abused by that route, we can't
22 say anything about whether or not it will change

1 the course of anyone's addiction based on that.
2 And I think that kind of goes to what was being
3 said, so I'll stop there.

4 DR. BROWN: Dr. Morrato?

5 DR. MORRATO: Yes, I just wanted to say I
6 like the way the FDA was framing because I know
7 this is new and thinking through what does
8 relevance mean in terms of relevant to the route.

9 So I like the way they framed it as sort of
10 scope of the problem and then the adverse outcome
11 and severity, so how widespread is the route in
12 total, as well as being preferred and exclusive and
13 whether or not there was variance.

14 So I agree with Dr. Gerhard in terms of it
15 may ultimately end up that it's not the most
16 preferred route, but I did find compelling the data
17 that says, among adolescents quoted 40-ish percent,
18 adults past 30 days up to 23 percent. So there is
19 a volume of patients that are going through it.

20 I was also compelled with the variation that
21 was cited between urban and rural, and there may be
22 different use patterns in different settings that

1 make a difference.

2 Then in terms of adverse outcomes, in
3 addition to what was mentioned I think on the nasal
4 tissue damage, I don't think we heard. But we did
5 hear that public forum from the RADAR's data that
6 maybe this route might actually be associated -- I
7 don't know if it's causal -- with more serious
8 adverse outcomes in terms of those that are using
9 this route. I think they were quoting maybe more
10 at risk of death or serious adverse events.

11 So I would say in total, when you look at
12 that as the framework, I would agree that it
13 supports a relevant route of abuse that we should
14 target.

15 DR. BROWN: Mr. O'Brien?

16 MR. O'BRIEN: The difficulty -- as I
17 indicated earlier, for the patient community that I
18 am aware of, intranasally is not the preferred
19 choice. However, in listening to everybody -- and
20 clinically -- and I accept the fact that that is a
21 route that individuals may take.

22 When I look at the data, I see that we had

1 62 individuals who are recreational users, whose
2 preference is to take drugs intranasally. I did
3 not see any data, though, that showed me, or any
4 testing that said that there was a deterrence if in
5 fact they took KP201. There was nothing to show me
6 that they would change their preferential method
7 routing.

8 So I'm not quite sure, from an objective
9 standpoint, do I really have data that says that
10 it's relevant that KP201 will in fact deter
11 behavior? I'm not quite sure there.

12 DR. BROWN: So if there are no more
13 clarifying questions or comments concerning this
14 discussion topic, let me just say that the sense of
15 the committee is that the nasal route is probably
16 relevant even if there's only a small relevance.

17 There's some question in some people's minds
18 about whether or not the nasal route after the oral
19 route produces a progression to other drug abuse.

20 Dr. Kaye suggested that this may be a
21 gateway path, that there are populations that might
22 be especially at risk such as adolescents that

1 appear to have a large percentage that are abusing
2 through the intranasal route.

3 It also appears, at least in the data from
4 the Commonwealth of Kentucky, that there was a
5 large number of rural folks that were using
6 snorting as their primary method of abuse.

7 The question was raised about whether this
8 was relevant for the product, did the fact that
9 this was a relevant pathway imply that the product
10 in it of itself was going to prevent a progression,
11 and that is not known.

12 Any other additions to that summary of
13 what's been said around the table?

14 (No response).

15 DR. BROWN: If not, let's go to question
16 number 2, please discuss whether there are
17 sufficient data to support a finding that KP201 has
18 properties that can be expected to deter abuse,
19 commenting on the support for deterrent effects for
20 each of the three possible routes of abuse.

21 Dr. Emala?

22 DR. EMALA: I'll take each of these A, B, C.

1 A, I think, is it was never intended to be a
2 deterrent for oral. C, I think I would refer back
3 to the relevance issue. I think the data we've
4 seen suggest that this class of drugs, the
5 intravenous route is not relevant. So I think it
6 focuses really on the potential for nasal
7 deterrent.

8 I remain unconvinced, after looking at the
9 data from study A02, that this product really
10 offers any deterrent features over its comparator.
11 And I do think the data in study A03 needs to be
12 taken with a great deal of skepticism based on the
13 shortcomings that have been outlined by the FDA.

14 DR. BROWN: Dr. Gerhard?

15 DR. GERHARD: Tobias Gerhard. Yes, I also
16 think that it's pretty clear that the answer for A
17 and C is no. I also don't think we've seen really
18 compelling evidence for B.

19 So I think that at the end of the day, even
20 if there is a little bit of data that suggest that
21 maybe -- some PK/PD measures early in the follow-up
22 might show a small difference, if at the end of the

1 day, you can't show any difference in overall
2 liking of the drug, or level of high, or the
3 likelihood of taking the product again, I think it
4 would be hard to ascribe a deterrent effect to such
5 a product.

6 DR. BROWN: Let me add to the discussion
7 here by asking the committee if there were other
8 issues that were not addressed by the folks that
9 presented the product that might assist us in
10 having a better understanding of whether or not
11 this could be a deterrent.

12 Dr. Phillips? Dr. Higgins?

13 DR. HIGGINS: For me, it was methodological.
14 I was challenged by the fact that the methods that
15 were chosen were based largely on internet survey
16 data. I also was having a difficult time with the
17 fact that there was not representativeness of this
18 sample.

19 I dispute the fact that all users are
20 18 years of age or thereabouts. I do see a lot of
21 abuse even in the older generation, so those are
22 challenges for me above and beyond the data.

1 DR. BROWN: Anybody else? Dr. Morrato?

2 DR. MORRATO: Two things. I was compelled
3 by Dr. Stevens' evaluation of the chemistry of it.
4 Part of the abuse-deterrent is how easy is it for
5 someone to overcome, and I did not see compelling
6 evidence that this is very hard to overcome.

7 Having been part of other meetings where we
8 reviewed abuse-deterrent, the chemistry data in
9 other products, we've gotten to see far more, I
10 don't know, broad-ranging aggressive ways of trying
11 to overcome it, and I didn't really see that same
12 sense of, I've tried everything to overcome it.

13 It was nice experiments, but I think some of
14 the logic others were using, putting things
15 together and that, longer times were a little bit
16 harder, it gave evidence that this might not be so
17 hard to overcome in real world.

18 Then the second piece is if we're trying to
19 interfere with the nasal pathway, I just had a hard
20 time with the logic. And I'll see if I can say it
21 right so it makes sense.

22 So if we say that Apadaz oral is

1 bioequivalent to Norco oral, and then we say that
2 Apadaz snorting is largely having its effect
3 because I'm swallowing it or it's somehow being
4 ingested, then I'm basically saying my snorting
5 route is just like my oral, and I said my oral is
6 just like the thing I'm trying to prevent, which is
7 immediate-release.

8 So I didn't see compelling evidence that
9 something that was happening chemically, the story
10 made nice, but was not happening in play. Then
11 that reinforced in my mind, now, you look at the
12 data that was referenced by Dr. Emala, and we see
13 results that are similar. So the logic of it
14 didn't seem to hang with me when you looked at all
15 the data in total.

16 DR. BROWN: Dr. Shoben?

17 DR. SHO BEN: So I just want to say that I
18 agree with everything that's been said, that nasal
19 abuse studies was best-case scenarios, people
20 crushing the tablet without doing anything to it
21 and then snorting it. And there's just not
22 compelling evidence for me that that was enough of

1 a deterrent that someone wouldn't do that again
2 even if this were the only product on the market.

3 The chemistry studies that Dr. Morrato just
4 mentioned might suggest that perhaps it is
5 actually, in some ways, easier -- certainly maybe
6 perhaps just different -- to separate out the
7 acetaminophen from the prodrug, which might make
8 sort of unintended consequences of putting this in
9 the market problematic in terms of potentially more
10 oral abuse or other methods of ingestion.

11 DR. BROWN: Any other comments?

12 (No response).

13 DR. BROWN: To summarize, the panel seems to
14 be unconvinced of the deterrent characteristics for
15 snorting, which would seem to be the major reason
16 to bring this drug to the FDA at this time. Folks
17 said that there was no compelling evidence, and
18 Dr. Morrato revealed to us that it didn't seem to
19 be hard to overcome the deterrent properties of the
20 drug. That's my take on it.

21 Anybody have any other comments that I
22 might've missed?

1 Dr. Hertz?

2 DR. HERTZ: After two and three-quarter days
3 in this room, I appreciate succinctness, but even
4 if there is a sense of concurring with something
5 that had been said, positive or negative, it's
6 really helpful just to get a sense of the
7 committee's thoughts about these questions.

8 We try not to force lots and lots of votes,
9 because that does make everyone respond. But if
10 anyone else would like to comment one way or the
11 other, it's very helpful to hear from more folks,
12 even if it's just to say you're on board with
13 something that was said.

14 (Laughter).

15 DR. BROWN: Dr. Craig?

16 DR. CRAIG: Thank you. Thanks for the
17 prompting, Dr. Hertz.

18 Yes, I think it is an approvable drug based
19 on its availability. We don't have any other
20 options. I think that the innovation and the
21 prodrug approach is very neat. I think to have the
22 option for something like this in certain patient

1 populations could be positive.

2 Again, comparative to what's currently
3 available, it's clearly not perfect and many people
4 have said that today. Should it be available? I
5 think it should be. Whether the abuse-deterrent
6 properties are enough, is the question, and I don't
7 think it is. But I think it would be a nice option
8 to have available.

9 DR. BROWN: Mr. O'Brien?

10 MR. O'BRIEN: I'm sort of echoing the same
11 comments. I guess my question is that I see it as
12 a -- what I saw was that it's a safe and effective
13 drug that appears to be similar to the comparator
14 that's there. I have a lot of questions about how
15 it's going to be labeled and what it's going to be
16 promoted as and its capabilities to do that. And
17 that's where I really have a question in terms of
18 its real deterrence value.

19 So if I'm being asked to say is it something
20 that should be in the market, then yes.

21 Oh, that question?

22 (Laughter).

1 MR. O'BRIEN: Oh, I was answering the other
2 question, the general question. Sorry.

3 DR. BROWN: Dr. Israel?

4 DR. ISRAEL: Yes, I just wanted to also say,
5 it's kind of a confusing, the thing that we're
6 looking at right now in terms of a multiple -- this
7 whole idea of whether it's going to be useful and
8 whether it's really going to have deterrence in
9 terms of intranasal usage.

10 But even if it's slows down -- even if it's
11 really a point, like Dr. Morrato was talking about,
12 that it's just going to slow down the absorption so
13 it acts like an oral IR and even deters a small
14 percentage of those people that we don't normally
15 see and the statistics, if it helps in any kind of
16 way to slow down potential abuse, then I think it's
17 something that's worth approving.

18 DR. BROWN: So does that mean that
19 you -- because we've had people around the table
20 saying that they're unconvinced, unconvinced, and
21 then some say that they're convinced.

22 Are you saying that you're unconvinced or

1 convinced?

2 DR. ISRAEL: Well, it looks like the
3 effect -- you know, from the data, it looks like
4 the effect is small, and I'm not sure how much of
5 an effect it's going to have, whether it should
6 be -- I think the drug, it should be approved.

7 DR. BROWN: Dr. Tyler?

8 DR. TYLER: Thank you. My sense that the
9 appeal of intranasal is because people want the
10 rush or the high. So what I find interesting is
11 the data that was presented was how it compares to
12 oral, and it looks like oral will -- so oral is not
13 known for quite the same rush or the high that
14 people are seeking with the intranasal. And when
15 we look at the data on drug liking and on the
16 unipolar high scale, they measured it at half hour.
17 So again, hard to assess -- or in one case, a half
18 hour; in one case, in 15 minutes.

19 So I have challenges with did they measure
20 it at the right time to catch what they were really
21 looking for. And I think in some ways, how the
22 data was presented made it hard for me to grasp if

1 that's what was going on. So I think that was the
2 biggest thing for me in looking at the intranasal
3 data, is you can't really tell that easily.

4 DR. BROWN: Dr. Tyler, does that mean that
5 you think that the data had been presented in
6 another way so that it would have provided you some
7 clarity in making a decision about this?

8 DR. TYLER: Yes.

9 DR. BROWN: How would we do that?

10 DR. TYLER: So I think there are two things:
11 One, how the data that they had was presented, so
12 it was only very late that you presented it
13 compared to the oral to get a sense there. I think
14 the second one is the timing of the data points.

15 So that's where -- I don't know if they are
16 some place. When somebody asked about it, they
17 said, well, you know, we can't ask these people too
18 many questions, but there -- we have to think about
19 a design that captures it at the right time that we
20 think differentiates it.

21 So the data probably wasn't collected to
22 tell us what's happening in a way to give it

1 the -- or for us assess that when we think people
2 have the -- or want to take it intranasally, what's
3 the advantage of intranasally, we weren't measuring
4 at the time points that tell us whether it's
5 advantageous for them.

6 DR. BROWN: So incomplete granularity of the
7 data --

8 DR. TYLER: Yes. Right.

9 DR. BROWN: Do you think that would be
10 helpful?

11 DR. TYLER: Yes, I do think it would be
12 helpful. I think we have a surrogate from the
13 standpoint of it looks like oral. But then, it
14 looks like oral, but we still don't have the data
15 at the time points that might be most useful.

16 DR. BROWN: Dr. Bateman?

17 DR. BATEMAN: If the standard for labeling
18 for abuse deterrence can be expected to result in a
19 meaningful reduction in abuse liability relative to
20 relevant comparators, I don't think the data we've
21 seen demonstrates that in a compelling way.

22 Far and away, the most important route of

1 abuse for this drug is via oral ingestion. There
2 was some suggestion by the sponsor that there might
3 be a ceiling effect, but I think that would need to
4 be demonstrated in a much more robust way for us to
5 really take that into consideration.

6 Then in terms of the data around intranasal
7 ingestion, the overall findings from study A02,
8 particularly the VAS take drug again, the overall
9 VAS high and likeability all suggested that the
10 drug is essentially comparable with Norco.

11 Regarding the intravenous route, that
12 doesn't seem to be a major route of abuse for
13 hydrocodones, less relevant here.

14 DR. BROWN: Dr. Hall?

15 DR. HALL: One unanswered question I had on
16 the nasal route of administration was I sense to
17 have the suggestion that this particular product
18 had its limits in the quantity that could be
19 effectively used by intranasal use, and I don't
20 know how that would compare with Norco or other
21 products. But I thought I heard a suggestion that
22 there was only so much that a user could snort.

1 And if that be the case, that may be a reason, but
2 I didn't hear really any clear evidence in it.

3 I also think that the intranasal route is
4 almost a social norm, so that one group, in a
5 social setting -- I mean, even similar to
6 intranasal use of cocaine or methamphetamine, where
7 groups teach each other and now teach other by drug
8 forums online.

9 I found it interesting during the sponsor's
10 presentation comparing the two products on
11 intranasal use that -- I mean, I could almost
12 translate that into an online forum discussion,
13 yes, but not take the risk that this was a better
14 product for intranasal snorting.

15 So in that sense, I had a sense that this
16 does present some deterrent level, but I think if
17 that clear comparison were available and also made
18 more readily understandable to potential intranasal
19 users, that may contribute to its abuse deterrence.

20 DR. BROWN: Dr. Perrone?

21 DR. PERRONE: Jeanmarie Perrone. I'm just
22 remembering back to I think it was the hydrocodone

1 scheduling meeting, where we were presented a lot
2 of data about why hydrocodone was or wasn't abused
3 as much as other opioids. The acetaminophen was
4 clearly an abuse deterrent.

5 So perhaps this product or this modality
6 could be looked at in comparison to a pure
7 hydrocodone product, which I guess is Zohydro,
8 which is on the market.

9 I think when we first listened to this, we
10 heard there wasn't another pure hydrocodone
11 product, but there is. And maybe that's where we
12 need this kind of abuse-deterrent formulation
13 because by comparison, hydrocodone/acetaminophen,
14 the existing product, it doesn't seem like it's
15 very different from what we have already.

16 DR. BROWN: Dr. Stergachis?

17 DR. STERGACHIS: Thank you. Stergachis.
18 Yes, when listening to the FDA critique of the
19 human abuse potential studies, and of the three,
20 two failed to meet the primary endpoint, and one
21 was considered flawed or had significant
22 deficiencies, that's compelling in terms of not

1 supporting a labeling with respect to the deterrent
2 aspects with respect to this product.

3 The one positive aspect that I pulled from
4 slide 12 from the FDA is that there is nothing to
5 be gained in terms of mean plasma hydrocodone for
6 nasal route versus oral. So that would, in effect,
7 reduce the importance of going the nasal route with
8 the fact that there's equivalency between
9 intranasal and oral in plasma mean hydrocodone.

10 But on balance, I think that the concerns
11 with the study designs and the lack of meeting the
12 primary endpoint are concerning.

13 DR. BROWN: Dr. Donovan?

14 DR. DONOVAN: Somewhat in keeping with what
15 Dr. Perrone had said also is that, yes, probably
16 the APAP in both of these is acting as a deterrent
17 plus too much of a bulking agent, in essence.

18 Really the goal with the intranasal absorption is
19 when it works best, it works within 2 to 5 minutes.

20 Even in the comparator product, we're
21 looking at about a 15-minute peak. And it's got
22 limitations, too, which is probably why only about

1 25 percent of people actually inhale it nasally and
2 usually just prefer to use it and abuse it
3 potentially orally.

4 But then I start to think about, okay, so I
5 have this thing that really only works in
6 15 minutes, but I know why it only works in
7 15 minutes because it's a prodrug. And we had some
8 pretty compelling evidence from the FDA about it's
9 really not going to be that difficult to alter the
10 prodrug and purify it into hydrocodone. And that
11 will likely give you -- and then there's data, even
12 from the sponsor, that you'll see rapid absorption
13 from pure hydrocodone.

14 I was a little bit disappointed in the
15 sponsor's data they provided about modifications to
16 the chemical itself in that they only took one-step
17 approaches, and with a prodrug, you always have two
18 steps. You have both the extraction of the prodrug
19 itself and then the conversion of the prodrug to
20 the parent drug. And two-step processes were never
21 addressed by the sponsor. They, I think, were
22 somewhat slightly addressed by some of the work

1 that the FDA had started.

2 I think that's really the goal, a motivated
3 abuser, two-step is -- and especially some of these
4 would be pretty simple two-step processes to be
5 able to purify enough hydrocodone to abuse. And
6 that's where my concern is, and I don't think that
7 this meets the mark as abuse-deterrent because
8 those steps are actually probably a pretty low
9 threshold.

10 DR. BROWN: Dr. Shoben?

11 DR. SHO BEN: I just want to make a couple
12 points about the sort of combining questions 1 and
13 2 in some sense. We said in question 1 about the
14 scope of the nasal route, that it sort of was
15 relevant in this context. But I think that its
16 relevance certainly needs to be taken into context
17 here when you're trying to figure out if there
18 really is an abuse-deterrent effect.

19 In particular, Dr. Tolliver's slide 15, when
20 you're comparing the drug liking between the Norco
21 intranasal and the Norco oral and you see very
22 little difference, it's very much consistent with

1 the epidemiological data, which is there's very few
2 users who really are preferring the nasal route,
3 and that they may like the nasal route every once
4 in a while or just something different, but it's
5 not like they're getting a dramatically sort of
6 better high from the nasal route because that was
7 not sort of supported, at least, in this particular
8 drug-liking study.

9 So in that sense, in order to have a real
10 impact on the deterrent of the nasal route, there'd
11 have to be something that was really dramatically
12 negative in my mind that there's just -- in this
13 context, there may be slight pharmacokinetic
14 differences between the two, but there's no impact
15 on how much people are liking it. You'd really
16 have to go for a negative effect for it to have a
17 meaningful impact on the nasal route of abuse.

18 DR. BROWN: Dr. Kaye?

19 DR. KAYE: Yes, I think I've been spending
20 the whole day trying to figure out what does the
21 data mean, and I think it's a topic that's very
22 complicated and multifaceted.

1 So I had two points. One is, having done
2 some clinical pain research myself, it is a very
3 difficult population to work with. If there's a
4 data point that isn't exactly where at the right
5 moment or minute, I think that's not a deal breaker
6 for me.

7 Then the second thing is, looking at the
8 data all day, I'm asking myself, is there anything
9 that was presented to me today that would make a
10 step backwards? And I don't hear that; I don't see
11 that; I don't sense that. It's kind of a baby step
12 forward. It's not going to solve every problem in
13 the opioid epidemic, but I just don't see anything
14 that tells me that we're going backwards. Thanks.

15 DR. BROWN: Dr. Campopiano?

16 DR. CAMPOPIANO: Melinda Campopiano. This
17 will be a little bit summarizing because I'm
18 hearing a couple of areas of challenge with regard
19 to the analysis, one being analyzing the effect
20 within the first minutes of taking it instead of at
21 15 and 30 minutes.

22 So that's kind of a valid critique of how

1 the study was designed. And because of it, because
2 of what we expect immediate-release drugs to do and
3 what we expect the substance user to want from
4 them, we kind of miss the window, the really,
5 really relevant window. So that point has been
6 made by a couple of people.

7 The second piece is kind of the lack of
8 innovation, if you will, in the manipulation and
9 extraction experiments with regard to combinations,
10 and the type of creativity that you might expect
11 from the average drug user is a little bit lacking
12 to make it really compelling that we've captured
13 accurately how extractable or not extractable the
14 product is and how easily manipulated the prodrug
15 is.

16 So those concerns balance that against the
17 numbers of people in pain who need safe options,
18 the amount of hydrocodone being prescribed to the
19 population at large, it's hard not to say, oh, I
20 see a tiny incremental benefit here for at least a
21 portion of people who will not misuse this
22 particular product as a result.

1 So I'm thinking about that, and I'm
2 comfortable with what Dr. Kaye just said, it's not
3 a step back. And what I'm struggling with is, is
4 it a big enough increment forward to be able to
5 call it abuse-deterrent without either undermining
6 our credibility, or raising questions about our
7 intent of what we meant by that, or potentially --

8 It was raised earlier by one of the public
9 commenters that, well, we'll see how it really
10 works in the postmarketing surveillance. And I
11 feel like, oh, are we going to use the entire
12 population as research subjects without their
13 permission? Is that where we're setting bar?

14 It's a tiny increment. We don't really know
15 if it's going to work or not, but we're willing to
16 experiment on the public. So I'm really conflicted
17 in case you can't tell. So I'm going to be very
18 surprised to see how I vote on question 3. But I'm
19 interested to see if I provoked any other
20 challenging thoughts.

21 DR. BROWN: Dr. Emala?

22 DR. MICKLE: Dr. Brown, can I just make one

1 clarifying comment very quickly?

2 DR. BROWN: Yes.

3 DR. MICKLE: Thank you. I just wanted to
4 quickly clarify as far as it goes for the tampering
5 studies, we did over 1600 different chemical
6 extractions conditions. Most of those involve
7 multi-steps, not just single-steps, two-steps, but
8 multi-step conditions that needed to be done.

9 These were extremely harsh conditions. No
10 sponsor yet to date has undergone that type of
11 tampering conditions that we've seen. And the
12 comparator in this case, you can drop into a simple
13 everyday solvent and get out 86 percent in
14 5 minutes. In worst case that the FDA has
15 presented, it took several hours for KP201.

16 So I just wanted to give that really brief
17 perspective because I just didn't want you to leave
18 here thinking what we know, and I think the agency
19 knows as well, there's a significant barrier to
20 tampering. Thank you. Sorry.

21 DR. EMALA: I just wanted to follow up on
22 the last comment from the committee because maybe

1 we're jumping ahead a little bit talking about
2 labeling concerns.

3 When we think about the size of the
4 incremental step, I think we have to think about
5 the impact that labeling would have on a
6 prescriber, that a prescriber who would somehow
7 interpret this drug as being a safer drug would be
8 a little bit more willing to prescribe it, maybe
9 even prescribe it in larger quantities because they
10 had some sense that the FDA and this advisory
11 committee believes that there was abuse-deterrent
12 properties.

13 So I think we have to take that
14 consideration very carefully about the unintended
15 consequences of what such labeling might do.

16 DR. BROWN: We're going to take a 15-minute
17 break. Please remember there should be no
18 discussion of the meeting topic during the break
19 amongst yourselves, and we're going to resume
20 deliberations at 3:55.

21 (Whereupon, at 3:41 p.m., a recess was
22 taken.)

1 DR. BROWN: If we could take our seats
2 please. We have asked to add a second voting
3 question to our deliberations, which will be
4 question 4, if approved, should KP201/APAP be
5 labeled as an abuse-deterrent product, which we
6 will take vote on after we discuss and vote on
7 question number 3.

8 We will be using an electronic voting system
9 for this meeting. Once we begin the vote, the
10 buttons will start flashing and will continue to
11 flash even after you've entered your vote. Please
12 press the button firmly that corresponds to your
13 vote. If you are unsure of your vote or you wish
14 to change your vote, you may press the
15 corresponding button until the vote is closed.

16 After everyone has completed their vote, the
17 vote will be locked in. The vote will then be
18 displayed on the screen. The designated federal
19 officer will read the vote from the screen into the
20 record.

21 Next, we will go around the room and each
22 individual who voted will state their name and how

1 they voted into the record. You can also state the
2 reason why you voted as you did if you want to. We
3 will continue in the same manner until all the
4 questions have been answered or discussed.

5 DR. BATEMAN: Dr. Brown, can you describe
6 what the second question will be?

7 DR. BROWN: Question number 4, it would just
8 be an added question, if approved, should
9 KP201/APAP be labeled as an abuse-deterrent
10 product?

11 So we're going back to question 3. And
12 question 3 is, should KP201/APAP be approved for
13 the proposed indication? Are there any questions
14 or comments concerning the wording or question?

15 DR. EMALA: Charles Emala. Could you
16 clarify what "proposed indication" means?

17 DR. HERTZ: Let's use the working language
18 for moderate-to-severe acute pain.

19 DR. BROWN: Up to 14 days. Any other
20 questions or comments?

21 DR. CRAIG: Could we just clarify the
22 indication once again? There's some mention about

1 time, about 14 days, which wasn't mentioned?

2 DR. HERTZ: I think at this point, you can
3 just work on moderate-to-severe acute pain. I
4 think that's really enough just to kind of think
5 about it at this point.

6 DR. CRAIG: Thank you.

7 DR. BROWN: If there's no further discussion
8 on this question, we will now begin the voting
9 process. Please press the button on your
10 microphone that corresponds to your vote.

11 As you can see, it has "yes," and "no," and
12 "abstain." You will have approximately 20 seconds
13 to vote. Please press the button firmly. After
14 you have made your selection, the light may
15 continue to flash. If you are unsure of your vote
16 or you wish to change your vote, please press the
17 corresponding button again before the vote is
18 closed.

19 (Vote taken).

20 LCDR BEGANSKY: The vote was 16 yes, 4 no,
21 zero abstain.

22 DR. BROWN: Everyone has voted. The vote is

1 now complete. Now that the vote is complete, we'll
2 go around the table and have everyone who voted
3 state their name, vote, and if you want to, you can
4 state the reason why you voted as you did into the
5 record. We can start down with Dr. Herring.

6 LCDR BEGANSKY: He didn't vote.

7 DR. BROWN: Oh, he didn't vote. Dr. Israel?

8 DR. ISRAEL: For the indication for
9 moderate-to-severe pain, I think it meets the bar
10 for that. It's similar to Norco. So am I supposed
11 to say anything else to that other than that?

12 DR. BROWN: Please state your name --

13 DR. ISRAEL: Oh, sorry. Heidi Israel.

14 DR. BROWN: And how did you vote?

15 DR. ISRAEL: Yes. So the indication is for
16 moderate-to-severe pain, and I felt comfortable
17 with voting for that indication.

18 DR. BROWN: Very nice.

19 (Laughter).

20 DR. ISRAEL: All this discussion, what would
21 you like -- three days of this, I'm sorry.

22 DR. BROWN: I understand completely. I've

1 been here with you. Next?

2 DR. DONOVAN: Maureen Donovan. I voted yes
3 and based on the bioequivalence data with the
4 comparator product.

5 DR. MICHNA: Ed Michna. I voted yes because
6 I don't see any negative effects versus the
7 reference product.

8 DR. GERHARD: Tobias Gerhard. I voted yes
9 for the reasons that have been stated.

10 DR. HIGGINS: Jennifer Higgins. I voted no.
11 I was not persuaded by the data.

12 MR. O'BRIEN: Joe O'Brien. I voted yes
13 because of the similar things. To be honest, I
14 almost abstained only because I don't think it had
15 the strength of data that would normally be if
16 someone was just presenting for a new drug.

17 DR. HALL: James Hall. I voted yes. I
18 think it meets the indication.

19 DR. CAMPOPIANO: Melinda Campopiano. I
20 voted yes.

21 DR. KAYE: Alan Kaye. I voted yes for the
22 reasons stated.

1 DR. EMALA: Charles Emala. I voted yes
2 because I believe it meets the indication for
3 treating acute pain.

4 DR. PERRONE: Jeanmarie Perrone. I voted no
5 really largely because I don't think that we could
6 promote this as a safer product, and the unintended
7 consequences that Dr. Emala referred to concerns
8 me.

9 DR. BROWN: Ray Brown. I voted no. I'm
10 unconvinced that this drugs offers robust
11 deterrence, -- and it worries me to put another
12 opioid on the market that does not have robust
13 deterrence properties.

14 DR. CRAIG: David Craig. I voted yes
15 primarily because of its clear use in the treatment
16 of acute pain, which I think is pretty clear. I
17 had questions about the second thing we're going to
18 be voting on, but for this, it's a yes.

19 DR. SHOBN: Abi Shoben. I voted yes,
20 reluctantly, but I voted yes due to the
21 bioequivalence data.

22 DR. MORRATO: Elaine Morrato. I voted yes,

1 also bioequivalence, didn't see evidence it would
2 be more harmful. But I have the same concerns that
3 were expressed by Drs. Brown and Perrone.

4 DR. STERGACHIS: Andy Stergachis. Yes.

5 DR. BATEMAN: Brian Bateman. Yes, based on
6 bioequivalence with the reference product.

7 DR. GUPTA: Dr. Gupta. I voted no. I have
8 a statement because I didn't participate much in
9 the discussion. But I really do appreciate the
10 work that the sponsor did and also the FDA on the
11 diligence that they put forth and the detail that
12 they've presented.

13 I'm certainly encouraged that there is
14 progress in finding abuse-deterrent formulations
15 and that there's initiatives in place to ensure
16 patient safety. However, I did vote no for several
17 reasons.

18 One, the findings demonstrate that the oral
19 and nasal route were similar in drug liking, high
20 intake drug; again, most notably in the first
21 30 minutes of intake; two, the lack of data on
22 potential genetic and population variables was not

1 presented; three, the lack of clarity on how much
2 added value the prodrug offers to prevent abuse
3 deterrence; and four, the solubility
4 characteristics that were presented demonstrated
5 the ability to manipulate the product using simple
6 solvents.

7 Unfortunately, in my opinion, the drug
8 presented did not clearly appear to provide any
9 compelling or incremental advantage over the
10 current available product.

11 MS. SHAW PHILLIPS: Marjorie Shaw Phillips,
12 I voted yes. It's got evidence to show it's
13 bioequivalent to the reference product, so it meets
14 the minimum standard for coming on the market on
15 that level. And similarly, I didn't see any
16 concerns about either delayed released, or dose
17 dumping is not issue obviously because it's
18 immediately released.

19 So unlike some products that we looked at
20 earlier this fall, there were not some safety
21 concerns that would say it was not clearly safe and
22 effective for treatment of pain.

1 DR. TYLER: Linda Tyler. I voted yes also
2 based on the bioavailability profile.

3 DR. BROWN: We're going to move on to
4 question 4, which is the new question, if approved,
5 should KP201/APAP be labeled as an abuse-deterrent
6 product?

7 Again, we will be using an electronic voting
8 system. If there are questions or comments from
9 the panel concerning this question, can we hear
10 them now?

11 (No response).

12 DR. BROWN: Questions comments?

13 (No response).

14 DR. BROWN: No. So we're going to use the
15 electronic voting system. Once we begin the vote,
16 the buttons will start flashing and will continue
17 flash even after you've entered your vote. Please
18 press the button firmly that corresponds to your
19 vote. If you are unsure of your vote or you wish
20 to change your vote, you may press the
21 corresponding button until the vote is closed.

22 If everyone has completed their vote, the

1 vote will be locked in. The vote will then be
2 displayed on the screen. The designated federal
3 officer will read the vote from the screen in to
4 record.

5 Next, we will go around the room and each
6 individual who voted will state their name and vote
7 into the record. You can also state the reason why
8 you voted as you did if you want to. We will
9 continue in the same manner until all questions
10 have been answered or discussed.

11 If there are no questions or comments
12 concerning the wording or the question, we will now
13 begin the voting process.

14 (Vote taken).

15 LCDR BEGANSKY: The result was 2 yes, 18 no,
16 zero abstain.

17 DR. BROWN: We're going to start with
18 Dr. Stergachis since he has to go to a plane.

19 DR. STERGACHIS: Andy Stergachis. No, for
20 reasons cited earlier.

21 DR. BROWN: We're going to start back over
22 here with Dr. Israel.

1 DR. ISRAEL: I voted yes. Baby steps --

2 DR. BROWN: Could you state your name?

3 DR. ISRAEL: Oh, sorry. Heidi Israel. I
4 voted yes, baby steps in the process.

5 DR. DONOVAN: Maureen Donovan. I voted no,
6 based on lack of evidence of clear distinction of
7 abuse deterrence for both nasal and other routes.

8 DR. MICHNA: Ed Michna. I voted no because
9 I thought the evidence was really not compelling at
10 all for an abuse-deterrent indication.

11 Unfortunately, it'll probably take us years to know
12 what the effect is, and hopefully by then, we'll
13 have improvements on this kind of technology.

14 DR. GERHARD: Tobias Gerhard, Rutgers. I
15 voted no. I think we all would like to see an
16 abuse-deterrent product for immediate-release that
17 really has an effect. But we have to remain
18 critical when being presented with data for such
19 products. And I think here, we haven't seen the
20 type of evidence that would suggest that this
21 product really makes a difference compared to the
22 available immediate-release product.

1 DR. HIGGINS: Jennifer Higgins. I voted no.

2 MR. O'BRIEN: Joe O'Brien. I voted no. I
3 also have concerns for the same reasons. I also
4 have concerns for labeling for some of the things
5 that was mentioned, for example, crushing. I find
6 that maybe confusing. I think that needs some
7 attention in how is that potentially presented.

8 DR. HALL: I'm James Hall. I voted yes. I
9 guess I believe in real baby steps, but also
10 hopefully that if approved, this does send a
11 message that we really believe that abuse-resistant
12 is -- abuse-deterrent is a very important strategy,
13 particularly with the opioids.

14 DR. CAMPOPIANO: Melinda Campopiano. I
15 voted no because I feel that the evidence is
16 equivocal enough that it would make it very
17 difficult to provide effective guidance to
18 prescribers and patients about just what exactly to
19 expect.

20 DR. KAYE: Alan Kaye. I voted no just from
21 remembering what I learned on Tuesday and Wednesday
22 of this week. I don't feel labeling it as such

1 might communicate effectively with our prescribers,
2 and I don't want to make things worse by stepping
3 out and saying yes that it is a deterrent.

4 I think it is an improvement, a baby step,
5 but I don't think you can label it as a deterrent
6 because I think the prescribers might make things
7 worse.

8 DR. EMALA: Charles Emala. I voted no for
9 both Category 1 and Category 3 reasons. I think in
10 Category 3, the A02 study was unconvincing that
11 there was a difference to the comparator.

12 I'm also not convinced that it's not an easy
13 extraction method. And I'm most concerned about
14 giving a false sense of security to prescribers
15 that I think could actually accelerate the volume
16 and number of these pills prescribed.

17 DR. PERRONE: Jeanmarie Perrone. I voted
18 no. Good thing Dr. Emala is here. I agree with
19 him again.

20 DR. BROWN: Ray Brown. I voted no.

21 DR. CRAIG: David Craig. I voted no.

22 DR. SHOEN: Abi Shoben. I voted no. I do

1 think that there's a place for these
2 abuse-deterrent drugs, and I do believe that
3 incremental improvement should be the bar, and
4 we're looking for some sort of value added.
5 However, in this case, I find the data just
6 unbelievably unconvincing that this is even a
7 little bit of an improvement.

8 DR. MORRATO: Elaine Morrato, and I also
9 voted no. I agree the theory, the prodrug
10 mechanism is there but it was not borne out by the
11 in vitro, nor in vivo studies. And I also worry
12 greatly about unintended consequences if we imply
13 something is safer or better, especially, as we
14 heard earlier, that this is a contextual thing and
15 that this would be the first abuse-deterrent
16 immediate-release. So it could lead to unintended
17 consequences.

18 I might also add that I encourage the FDA to
19 have very careful consideration of a launch
20 marketing materials and the [indiscernible]
21 mechanism of action data presented in those kinds
22 of materials. And I would hate for it to imply

1 abuse-deterrent properties.

2 DR. BATEMAN: So I voted no for the reasons
3 that others have indicated. I'm sorry. My name is
4 Brian Bateman, and I voted no for the reasons that
5 others have indicated. Particularly, the data from
6 study A02 I think failed to show that it has
7 abuse-deterrent properties relative to the
8 comparator.

9 I agree with Dr. Morrato that when we do
10 eventually have an immediate-release opioid that
11 has abuse-deterrent properties around the nasal
12 route, it'll be very important to communicate to
13 physicians that that does not necessarily imply
14 abuse-deterrent properties with respect to oral
15 ingestion. And therefore, a physician shouldn't
16 have a false sense of security about these
17 medications.

18 DR. GUPTA: Dr. Anita Gupta. I voted no for
19 the reasons already stated.

20 MS. SHAW PHILLIPS: Marjorie Shaw Phillips.
21 I voted no. I think it's a small incremental step,
22 that it's more challenging for a large scale drug

1 operation to try and extract large quantities of
2 pure benzhydrocodone or pure hydrocodone from it if
3 they wanted to do something on a large scale. But
4 I don't want to send a message to patients and
5 families or to prescribers that it would be less
6 abusable for an individual patient who's going to
7 take large quantities orally or even try to snort
8 some.

9 DR. TYLER: Linda Tyler. I also voted no.
10 And also, for baby steps, the term everybody else
11 used, I want to recognize that the sponsor did an
12 incredible job in the studies that they did,
13 especially around the extractions. But this is
14 where I think a certain degree they got caught in
15 that the bar is rising.

16 I think Dr. Hertz's comments resonated with
17 me, that we have to maintain the standard of what
18 people expect for abuse-deterrent. And I think
19 that's different, and some of us are getting kind
20 of experienced on what's abuse-deterrent having
21 been on a couple of different panels that have
22 evaluated this.

1 So the bar is rising, got caught in that a
2 little bit, and then what we expect as
3 abuse-deterrent is also changing.

4 I think I'm concerned also around the
5 marketing potential of that people will perceive
6 that if it's abuse-deterrent, that that means it's
7 safer. So that's something we'll have to struggle
8 in the labeling because, clearly, those are two
9 different things. But to the public, that may not
10 appear to be two different things.

11 Then last, what we really want to know is
12 does it make any difference in the abuse? Is it a
13 product that helps us in the war, the public health
14 crisis around opioids? What does it look like when
15 this drug is used in the general population?

16 So to speak to that really is around our
17 strength in our postmarketing surveillance, so are
18 there opportunities to develop a really strong
19 uniform postmarketing surveillance program as we
20 consider these products going forward?

21 DR. BROWN: Before we adjourn, are
22 there -- oh, question 5. Where did that come from?

1 (Laughter).

2 DR. BROWN: So question 5, if you think that
3 the product should be approved, discuss the
4 route or route or routes of abuse for which
5 abuse-deterrent language should be included in the
6 product label.

7 Why are we voting on this?

8 (Laughter).

9 DR. BROWN: Why are discussing --

10 DR. HERTZ: Because we added question 4, it
11 doesn't mean with can delete the previous question
12 that was there. So as we think about this, I guess
13 I would like to ask you to consider in this
14 discussion if there's anything further that you
15 would like to say that you have not already
16 expressed in the context of 4, this would be an
17 opportunity to do so. Is that okay?

18 DR. BROWN: Dr. Bateman?

19 DR. BATEMAN: I'll just make one point very
20 quickly. So in the studies that the sponsor showed
21 with larger doses of oral medication, there was
22 some suggestion of a lower Cmax. And I think the

1 sponsor attributed that to a potentially saturation
2 of esterases in the GI tract.

3 If they can develop a more compelling data
4 there to really demonstrate a ceiling effect, that
5 could be a very important step forward in proving
6 the safety of these medications. So I think
7 further development along those lines would be very
8 helpful.

9 DR. BROWN: Dr. Hall?

10 Dr. Israel, you voted yes. Do you have any
11 specific comments surrounding this particular
12 discussion question?

13 DR. ISRAEL: No, I have no further comments.

14 DR. BROWN: Are there any other comments
15 that anyone might have?

16 (No response).

17 DR. BROWN: I'd like to say that I think the
18 sponsor did an excellent job in presenting the
19 data. I'm not certain that this is the end for
20 whether or not this can, at some point in the
21 future with this technology, be considered.

22 I would like to see more information,

1 postmarketing information concerning this. I think
2 it'll be very important, as has been said, for us
3 to continue to follow that quite closely so that we
4 can determine whether or not, in the future, the
5 labeling could perhaps be changed to make it
6 abuse-deterrent.

7 Dr. Hertz, do you have any questions,
8 concerns, or comments?

9 DR. HERTZ: I just want to express my thanks
10 again to the committee. We value your time, your
11 comments, your thoughtfulness about this. We just
12 really appreciate your taking the time out of your
13 busy schedules.

14 **Adjournment**

15 DR. BROWN: Before we adjourn, panel
16 members, please take all your personal belongings
17 with you as the room is cleaned at the end of the
18 day. All materials left on the table will be
19 disposed of.

20 Please also remember to drop off your name
21 badge at the registration table on your way out so
22 that they may be recycled.

1 We will now adjourn the meeting. Thank you
2 very much.

3 (Whereupon, at 4:20 p.m., the open session
4 was adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22