

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
PHARMACY COMPOUNDING ADVISORY COMMITTEE  
(PCAC)

Tuesday, March 8, 2016

Afternoon Session

1:03 p.m. to 4:06 p.m.

FDA White Oak Campus  
10903 New Hampshire Avenue  
Building 31 Conference Center  
The Great Room (Rm. 1503)  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Cindy Hong, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Michael A. Carome, MD, FASHP**

11 ***(Consumer Representative)***

12 Director of Health Research Group

13 Public Citizen

14 Washington, District of Columbia

15

16 **Gigi S. Davidson, BSPH, DICVP**

17 ***U.S. Pharmacopeial Convention***

18 ***(USP) Representative***

19 Director of Clinical Pharmacy Services

20 North Carolina State University

21 College of Veterinary Medicine

22 Raleigh, North Carolina

1     **John J. DiGiovanna, MD**

2     Staff Clinician, DNA Repair Section

3     Dermatology Branch, Center for Cancer Research

4     National Cancer Institute

5     National Institutes of Health

6     Bethesda, Maryland

7

8     **Padma Gulur, MD**

9     ***(Acting Chairperson)***

10    Professor, Department of Anesthesiology and

11    Perioperative Care

12    University of California, Irvine

13    Orange, California

14

15    **Stephen W. Hoag, PhD**

16    Professor

17    Department of Pharmaceutical Science

18    University of Maryland, Baltimore

19    Baltimore, Maryland

20

21

22

1 **William A. Humphrey, BSPHarm, MBA, MS**

2 Director of Pharmacy Operations

3 St. Jude's Children's Research Hospital

4 Memphis, Tennessee

5

6 **Elizabeth Jungman, JD**

7 Director, Public Health Programs

8 The Pew Charitable Trusts

9 Washington, District of Columbia

10

11 **Katherine Pham, PharmD**

12 Neonatal Intensive Care Unit Pharmacy Specialist

13 Children's National Medical Center

14 Washington, District of Columbia

15

16 **Allen J. Vaida, BSc, PharmD, FASHP**

17 Executive Vice President

18 Institute for Safe Medication Practices

19 Horsham, Pennsylvania

20

21

22

1 Donna Wall, PharmD

2 *National Association of Boards of Pharmacy*

3 *(NABP) Representative*

4 Clinical Pharmacist

5 Indiana University Hospital

6 Indianapolis, Indiana

7  
8 **PHARMACY COMPOUNDING ADVISORY COMMITTEE INDUSTRY**

9 **REPRESENTATIVE MEMBERS (Non-Voting)**

10 Ned S. Braunstein, MD

11 *(Participation in March 8th PM session and*

12 *March 9th session)*

13 Senior Vice President and Head of Regulatory

14 Affairs

15 Regeneron Pharmaceuticals, Inc.

16 Tarrytown, New York

17  
18 William Mixon, RPh, MS, FIACP

19 Owner-Manager

20 The Compounding Pharmacy

21 Hickory, North Carolina

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Lenore Buckley, MD, MPH**

3       *(Participation in quinacrine, boswellia, D-ribose,*  
4       *and chondroitin discussions)*

5       Professor of Internal Medicine and Pediatrics

6       Yale University School of Medicine

7       New Haven, Connecticut

8

9       **Jeffrey A. Cohen, MD, FACP**

10       *(Participation in acetyl-L-carnitine discussion via*  
11       *telephone)*

12       Professor and Chair, Neurology

13       Geisel School of Medicine at Dartmouth

14       Dartmouth Hitchcock Medical Center

15       Lebanon, New Hampshire

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	<b>503A Bulk Drug Substances List</b>	
4	<b>FDA Presentations</b>	
5	Aloe Vera Freeze Dried 200:1	
6	David Kettl, MD	10
7	<b>Nominator Presentations - Fagron</b>	
8	Kimberly Kieffer	24
9	Clarifying Questions from Committee	36
10	<b>FDA Presentations</b>	
11	D-Ribose	
12	Shari Targum, MD	37
13	Clarifying Questions from Committee	44
14	Janet Maynard, MD, MHS	48
15	Susan Johnson, PharmD, PhD	53
16	<b>Nominator Presentations - Fagron</b>	
17	Kimberly Kieffer	62
18	Clarifying Questions from Committee	66
19	<b>FDA Presentations</b>	
20	Chondroitin Sulfate	
21	Javier Muniz, MD	69
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA Presentations</b>	
4	Acetyl-L-Carnitine	
5	Kenneth Bergmann, MD	84
6	Clarifying Questions from Committee	98
7	<b>Nominator Presentations - PCCA</b>	
8	A.J. Day, PharmD	99
9	Clarifying Questions from Committee	120
10	Committee Discussion and Vote	129
11	Adjournment	146
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		



P R O C E E D I N G S

(1:03 p.m.)

1  
2  
3 DR. GULUR: Welcome back, everyone. Before  
4 we begin, I will introduce two voting special  
5 government employees who will be in specific  
6 portions of this afternoon's topic. They are,  
7 Dr. Lenore Buckley, who was with us for the first  
8 session. She will participate in the D-ribose and  
9 chondroitin topic. And we have Dr. Jeffrey Cohen,  
10 who is professor and chair, neurology, at  
11 Dartmouth. He will participate in the  
12 acetyl-L-carnitine topic by phone.

13 Dr. Braunstein, if you could introduce  
14 yourself.

15 DR. BRAUNSTEIN: I'm Ned Braunstein. I'm  
16 the industry representative. I work for Regeneron  
17 Pharmaceuticals.

18 DR. GULUR: Thank you.

19 We will now proceed with FDA presentations.  
20 We will start with aloe vera. Dr. Kettl?

21 DR. CAROME: Were there handouts for the  
22 afternoon session for slide sets?

1 DR. GULUR: We'll be getting copies for  
2 everyone.

3 **FDA Presentation - David Kettl**

4 DR. KETTL: Good afternoon. My name is Dave  
5 Kettl. I'm a clinical team leader in the Division  
6 of Dermatology and Dental Products in the Office of  
7 New Drugs here at CDER. My presentation today will  
8 be related to the submission of aloe vera freeze  
9 dried 200 to 1.

10 I am presenting a summary of the review that  
11 was conducted by a large number of people on the  
12 review team. The lead reviewers are summarized on  
13 this slide and relate to multiple disciplines  
14 across chemistry, botanicals, the clinical  
15 pharmacology, non-clinical, as well as the clinical  
16 use of this product.

17 Aloe vera freeze dried 200 to 1 was  
18 nominated for treatment of burns, cuts, ulcers, and  
19 diabetic wounds. The nomination states that the  
20 substance will be used to prepare topical creams  
21 and gels at concentration of 0.1 to 10 percent.  
22 The nomination itself does not specify the basic

1 characteristics of cuts, burns, and wounds for  
2 which the nominated product is intended.

3           There's no discussion of the size, location,  
4 duration of the wound, infection status, all of  
5 which are critical elements for determination of  
6 efficacy and safety of the proposed product. The  
7 nomination did not include a substantial amount of  
8 detail, but it is our understanding that the  
9 200 to 1 ratio indicates 200 grams of aloe vera of  
10 botanical raw material, which would yield 1 gram of  
11 the freeze dried product.

12           This is some background about aloe vera  
13 plant material itself. Again, the concept to  
14 consider is related to the issue of whether the  
15 general aspects of aloe vera plants, of which there  
16 are between 400 and 500 cultivars known, how it  
17 relates to the nominated product. Some of our  
18 information as presented will deal with aloe vera  
19 in general because that's essentially all we could  
20 find related to this product.

21           The aloe vera, as you probably know, is a  
22 succulent plant species within the aloe genus.

1 Different references have between 360 and 500  
2 different species. The whole leaf and extracts are  
3 commonly used as herbal and traditional medicines,  
4 as oral laxatives, or topical agents for burns and  
5 wounds. There are commercially available products  
6 derived from aloe vera, but they are regulated  
7 variably as food, dietary supplements, or cosmetic  
8 products on the U.S. market. There's also a USP-NF  
9 drug monograph for aloe that will be discussed  
10 later.

11           The nomination itself does not provide a  
12 definition of aloe vera freeze dried 200 to 1.  
13 Again, as I stated, our understanding, though  
14 somewhat speculative, is that the name suggests  
15 that the extract or dry powder derived from the  
16 leaf or other parts of the aloe vera raw material  
17 yields a concentration of 200 to 1 where 200 grams  
18 of the raw material would yield 1 gram of the  
19 freeze dried extract. No solvents are specified in  
20 the nomination.

21           The majority of aloe vera products are  
22 complex mixtures of various substances. The aloe

1 vera gel contains mainly polysaccharides, a group  
2 of poorly characterized and relatively large  
3 molecules. The polysaccharides may be qualified as  
4 a as whole class but not individually at the  
5 molecular level. Differentiation of  
6 polysaccharides from aloe and various other  
7 botanicals remains challenging.

8 The aloe latex, or whole leaf extract,  
9 contains anthraquinone glycosides, aloin A and  
10 aloin B, which are purported to have laxative  
11 effects. They also contain other classes of not  
12 well characterized molecules.

13 The agency needs to understand precisely  
14 what the nominated substance is and what components  
15 it includes. The various compounds that may or may  
16 not be contained in the nominated substance would  
17 ultimately of course determine its safety profile.  
18 It's difficult to definitively make a safety  
19 determination without knowing the exact  
20 constituents of the nominated product.

21 As I stated, there's a USP monograph for  
22 aloe, USP38NF22. It defines aloe as the dried

1 latex of the leaves of the aloe vera plant with  
2 that specific genus and species known in commerce  
3 as aloe vera. There are various other  
4 qualifications and other species included in the  
5 monograph name and determination.

6 The identification and assay in the  
7 monograph is based on the amount of aloin, which is  
8 present. And aloin is only found in the latex or  
9 outer leaf rind of the aloe leaf. The  
10 polysaccharides, which is the major portion of the  
11 aloe leaf, is not tested.

12 The monograph describes a particular type of  
13 aloe. We don't know how the nominated substance  
14 compares to the monograph description, but the  
15 nominator has indicated that their freeze dried  
16 substance is not covered by an applicable  
17 monograph.

18 The USP monograph, in our determination, is  
19 not sufficient to ensure the quality of the  
20 nominated substance, aloe vera freeze dried  
21 200 to 1. The chemical characterization of only a  
22 small portion, e.g., the anthraquinones by

1 themselves, of a complex aloe vera mixture is not  
2 sufficient to ensure quality and consistency of the  
3 product.

4 Aloe vera freeze dried 200 to 1, which we  
5 presume is derived from the gel rather than the  
6 latex skin of the aloe leaf, likely contains no  
7 aloin or only trace amounts of anthraquinone. Even  
8 if the nominated substance were consistent with the  
9 USP monograph, we might still have concerns about  
10 how well characterized each was for use of actual  
11 compounding.

12 Aloe vera gel, latex, and extracts contain  
13 multiple classes of molecules, polysaccharides and  
14 anthraquinones being the predominant ones, but they  
15 have complex physical/chemical characteristics,  
16 which are poorly characterized.

17 Available analytical methods could not  
18 adequately characterize and differentiate one aloe  
19 vera product from another. For example, there's  
20 contaminants from other botanicals, such as other  
21 aloe species, and these would be difficult to  
22 detect.

1           The nominated substance, aloe vera freeze  
2 dried 200 to 1, is complex and not well defined.  
3 The USP aloe vera monograph only assayed the  
4 anthraquinone, e.g., aloin portion, but not other  
5 components, including the major components, which I  
6 stated are polysaccharides.

7           In conclusion, the product quality  
8 conclusion is that the agency does not consider  
9 aloe vera gel freeze dried 200 to 1 as well  
10 characterized and cannot be adequately controlled  
11 for compounding drug use from a quality  
12 perspective.

13           A well characterized preparation derived  
14 from aloe is one that would identify and control  
15 for the various components, such as the  
16 anthraquinone, aloin, and polysaccharides. The  
17 nominated substance may be derived from the gel,  
18 but we are unsure of that, and we do not consider  
19 the nominated substance to be adequately well  
20 characterized.

21           From a non-clinical perspective, aloe vera  
22 has been evaluated in various aspects of



1 non-clinical testing. In terms of pharmacology,  
2 the aloe vera products have been reported to  
3 possess a wide range of pharmacologic activities,  
4 however most claims are not supported by robust  
5 data from well controlled studies. The studies are  
6 either inconsistent or contradictory regarding  
7 wound healing benefits, which might be due to  
8 differences in test material and animal models,  
9 which were used.

10 In assessments of repeat dose toxicity,  
11 repeat oral doses administered via drinking water  
12 or diet to mice or rats caused diarrhea, decrease  
13 in weight gain, reduction in RBC count, and sperm  
14 damage. And in general, there's a lack of  
15 non-clinical data to evaluate the chronic dermal  
16 toxicity of aloe vera, particularly for a topical  
17 product.

18 The mutagenicity assessments included a  
19 negative Ames test for aloe vera gel, aloe vera  
20 whole leaf extract, and aloe vera charcoal filtered  
21 whole leaf extract. There were some  
22 anthraquinones, e.g., emodin and aloe emodin,

1 extracted from aloe vera, which exhibited  
2 genotoxicity in in vitro genotoxicity assays.

3 In developmental toxicology, aloe vera has  
4 abortifacient activity when taken orally, and aloe  
5 vera extract induced skeletal malformations in an  
6 oral embryo fetal toxicity study in rats.

7 In a one-year photocarcinogenicity study in  
8 hairless mice, aloe gel, aloe emodin, and aloe  
9 whole leaf extract, and decolorized leaf extract,  
10 had a weak enhancing effect on photocarcinogenic  
11 activity of simulated solar light.

12 In a two-year drinking water carcinogenicity  
13 study of mice and rats, aloe vera whole leaf  
14 extract is an intestinal irritant in both rats and  
15 mice and a carcinogen of the large intestine in  
16 rats. But there's a lack of non-clinical data to  
17 evaluate the dermal carcinogenicity potential of  
18 aloe vera.

19 Moving from non-clinical to human safety  
20 data, our search indicated that there's very  
21 limited safety data from clinical trials for either  
22 aloe vera, and essentially no human safety data to

1 adequately characterize the safety of the nominated  
2 substance.

3 For aloe products in general, there are  
4 reports of contact dermatitis and local dermal  
5 reactions. Note also that the proposed use  
6 includes use on non-healthy skin, either abraded  
7 skin, burned skin, or diabetic ulcers, and this  
8 would affect the safety profile of the product.

9 There was no pharmacokinetic information  
10 available for aloe vera or the nominated product.  
11 Searches were conducted through the FAERS and CAERS  
12 adverse event reporting system, which specifically  
13 found no reports for the nominated product. Aloe  
14 vera gel contaminated with other aloe vera  
15 components, e.g., anthraquinones, remains a  
16 potential safety concern for topical applications  
17 on open wounds.

18 Regarding oral use of aloe vera extracts and  
19 laxatives, in 2002, the FDA required that all OTC  
20 aloe-containing laxative products be removed from  
21 the U.S. market or reformulated because the  
22 companies that manufactured them did not provide

1 the necessary safety data, which included  
2 mutagenicity, genotoxicity, and carcinogenicity  
3 information.

4 In addition, in the treatment of burns, cuts  
5 and wounds, there are alternative approved products  
6 that may be safe or safer than the nominated  
7 product. There's a lack of long-term dermal safety  
8 data and pharmacokinetic data, which are necessary  
9 for full safety evaluation of topical products.

10 The safety profile of aloe vera shows the  
11 anthraquinone derivatives in aloe latex may be  
12 unsafe, especially when used at high doses for  
13 repeated use, for example concerns again about  
14 potential carcinogenicity. There's limited  
15 information specifically on the safety of the  
16 nominated 200 to 1 freeze dried aloe product for  
17 topical use.

18 The efficacy information related to the  
19 specific nominated product again is extremely  
20 limited. As we broadened our examination of  
21 general aloe vera products, there is a 2012  
22 Cochrane review, which was conducted on the use of

1     aloe vera products, which included several  
2     different forms of aloe vera, for treating acute  
3     and chronic wounds.

4             It was a comprehensive review of surgical  
5     wounds, burns, lacerations, and other skin injuries  
6     resulting from trauma. A chronic wound was defined  
7     as any one of the following: skin ulcers, infected  
8     wounds, surgical wounds healing by secondary  
9     intention, pressure ulcers, arterial and venous  
10    ulcers. These studies also included treatment of  
11    hemorrhoids and skin biopsy lesions, which healed  
12    secondarily.

13            Of 178 possibly relevant studies that were  
14    identified in the Cochrane review, only 7 were  
15    randomized, controlled studies, and therefore  
16    deemed adequate for review. The exam in the  
17    literature included various formulations of aloe  
18    vera and included gels, creams, dressing, and  
19    mucilage. Apparently, none were for compounded  
20    products that specifically included the aloe vera  
21    dried 200 to 1 nominated product.

22            The total number of subjects in these trials

1 was 347 in the 7 Cochrane review studies. All but  
2 two of them were studies that were conducted in the  
3 1990s, and the biggest aloe vera arm was 50  
4 subjects. Most of them were in the 10 to 20 range.

5 The conclusion of the review was that  
6 there's currently an absence of high quality  
7 clinical trial evidence to support the use of aloe  
8 vera topical agents, or aloe vera dressings, as  
9 treatment for acute and chronic wounds.

10 A separate review by another author in 2006  
11 looked at 371 subjects across four different  
12 clinical trials and also concluded that there is a  
13 paucity to draw a specific conclusion regarding the  
14 effect of aloe vera for burn wound healing.

15 The agency notes, however, the historical  
16 use of aloe vera. There probably is reports of at  
17 least 4,000 or 5,000 years of anecdotal reports.  
18 But again, these include various forms of aloe  
19 vera, and they are used in herbal medicine as a  
20 general tonic and as a food, which is sold in  
21 grocery stores.

22 The medicinal uses vary according to the

1 prescriber, but include uses for abdominal pain,  
2 swelling, burns, skin diseases, urinary disorders,  
3 fever, gastritis, constipation, headache, bloodshot  
4 eyes, convulsions, hemorrhoids, and treatment of  
5 parasites. But again, there's insufficient  
6 information regarding the historical use for any of  
7 these indications for use of the nominated product  
8 in pharmacy compounding.

9 In summary, the nominated product is not  
10 well characterized in its physical and chemical  
11 properties, especially the major components, which  
12 are polysaccharides. As an endogenous compound,  
13 topical use was associated with minor and  
14 infrequent side effects, which included local  
15 irritation and redness, and occasional allergic  
16 reactions. But there's insufficient and  
17 conflicting information from controlled clinical  
18 trials regarding the efficacy of the aloe vera  
19 topical products in the topical treatment of cuts,  
20 burns, and wounds. Furthermore, what information  
21 there is does not appear to have used the nominated  
22 200 to 1 freeze dried aloe vera.

1           Various forms of the botanical raw material  
2 from the plant aloe vera have been used for  
3 centuries, if not millennia, but there is  
4 insufficient information regarding historical use  
5 in pharmacy compounding.

6           In conclusion, the agency does not recommend  
7 that aloe vera freeze dried 200 to 1 for topical  
8 use be placed on the list of bulk substances that  
9 can be used for compounding under Section 503A of  
10 the Federal Food, Drug, and Cosmetic Act.

11           DR. GULUR: Thank you. At this time, we  
12 will accept clarifying questions from the  
13 committee.

14           (No response.)

15           DR. GULUR: Thank you. Since there are no  
16 questions, we will now proceed with the nominator  
17 presentation. We have one presentation on aloe  
18 vera from Ms. Kieffer from Fagron.

19           **Nominator Presentation - Kimberly Kieffer**

20           MS. KIEFFER: Good afternoon again. So FDA  
21 had some major concerns about the characterization  
22 of aloe vera, and I won't be able to characterize



1 all of the components, but I want to at least  
2 elucidate here what exactly this aloe vera freeze  
3 dried 200 to 1 is.

4 What it is, is a material that we use as the  
5 industry standard for topical products. It's used  
6 in cosmetics, dental care, baby care, et cetera.  
7 And this is what we use in compounding because it's  
8 shelf stable, and it's fairly concentrated, so it's  
9 easy to work with.

10 FDA did review the components of the aloe  
11 plant, but I wanted to go over them again. The  
12 whole plant of the aloe does contain some fairly  
13 toxic substances. It contains this list of organic  
14 compounds called anthraquinones. There are 12 in  
15 all in the aloe plant, and they're contained in the  
16 sap of the aloe.

17 So think of the aloe as an outer leaf, which  
18 is the rind, and then a sap that forms underneath  
19 the rind, and then underneath there is a gel, or  
20 the fillet, and that's where the polysaccharides  
21 and enzymes and other components live.

22 So when we're talking about a whole leaf

1 extract, a whole leaf extract is the entire plant  
2 powdered and broken down into a powdery form that  
3 can be used. That would contain all of the  
4 components, all of the anthraquinone components,  
5 particularly the aloin, which is the anthraquinone  
6 component of highest concentration. It comprises  
7 about I think 14 or so percent of the anthraquinone  
8 compounds.

9           The aloe USP monograph is specifically  
10 dealing with the whole leaf plant. So in this  
11 case, they're taking the plant, powdering it, and  
12 then assaying it. And they're looking for a  
13 concentration of aloin at about 16 percent, so  
14 that's fairly high.

15           There's also decolorized whole leaf extract  
16 of aloe vera, and this is very similar. These  
17 extracts can also be done using alcohol or other  
18 solvents. They're not always necessarily just  
19 freeze dried.

20           In the case of the decolorized material,  
21 they filter using activated charcoal to clarify the  
22 liquid. That's typically done to remove bitterness

1 and color from the material. This extract will  
2 also contain some residual latex and gel  
3 components. And in these we find that we're  
4 looking at an aloin content that might be less than  
5 10 parts per million, which is considerably less  
6 than the whole leaf plant; although I have seen  
7 some studies where that can actually be a little  
8 bit higher.

9 Now, the aloe latex, we talked about this  
10 earlier. This is the sap that forms underneath the  
11 rind and is secondary to the outer leaf, and that  
12 lies in between that and the fillet or the gel  
13 component. This is where the anthraquinones live  
14 primarily, and the anthraquinones are irritants.  
15 Some of them are irritants. If you look deep into  
16 the literature, you can find that some of them  
17 actually have anti-inflammatory and other  
18 properties.

19 So in terms of aloe vera gel, that's the  
20 inner part of the leaf, this is where we prepare  
21 the freeze dried aloe powder from. This is simply  
22 just the gel inside minus the latex and the outer

1 rind. This is dried and powdered through vacuum  
2 filtration or vacuum drying. I will show you just  
3 a little flow chart of how that works.

4 I got this information from our manufacturer  
5 and got their flow chart information. In this  
6 case, they begin with fresh aloe leaves, and then  
7 they hand remove the outer parts of the plant to  
8 remove all of the latex and the outer leaves. And  
9 they grind and use an enzymatic treatment process  
10 and then filter. And they use cellulase as the  
11 enzymatic treatment, but they use it primarily just  
12 to break up the pulp so that it's easier to filter.

13 Then it goes through a low temperature  
14 vacuum evaporation process, which vastly  
15 concentrates the liquid into a liquidy, more dense  
16 mass, and then that is spray dried using  
17 maltodextrin as the carrier. This makes the  
18 product shelf stable.

19 Then it takes us needing to use 10 percent  
20 of this gel material that we take out of plants and  
21 can condense it down into something like 0.1. So  
22 when we're talking about usage ranges for aloe vera

1 topically, in this product in particular, we're  
2 looking at concentrations typically at 0.1 to  
3 0.5 percent. I rarely have -- in fact, in all of  
4 the years I've been assisting with compounding have  
5 I seen anything up in -- for this product, in the  
6 10 percent range. It wouldn't be necessary.

7 One more addition. The typical aloin  
8 concentrations in this product are less than 1 part  
9 per million, and that is assayed on the C of A, and  
10 that's coming from the manufacturer and then again  
11 upon independent verification.

12 So we looked at some toxicology information  
13 that FDA presented, and if you read in all of those  
14 studies, almost all of them reached back to a whole  
15 plant extract being used. In the NTP report, where  
16 they took a mouse model and fed them an aloe  
17 extract for two years, we did see carcinogenic  
18 activity. And they also used a decolorized whole  
19 leaf plant, and in that one we also saw intestinal  
20 irritants. But again, that was with the whole leaf  
21 extract of the plant. And they even quantified the  
22 concentrations of anthraquinones in those studies,

1 and they were higher, much higher than what we're  
2 seeing in our aloe vera gel.

3 But conversely, in a Central European  
4 Journal of Immunology report that was published,  
5 they fed mice just the inner leaf of the gel that  
6 contained low to no concentrations of  
7 anthraquinones. And in that study, they found an  
8 anti-tumor effect when exposed to a carcinogen.

9 In another CEJI publication, aloe vera gel  
10 fed to mice showed a stimulation of cell mediated  
11 immunity and antibody production. So instead of  
12 seeing carcinogenic activity, we're actually seeing  
13 a protective effect.

14 Also in the cosmetic ingredient review  
15 expert panel final report on aloe vera and its  
16 safety for topical use in cosmetics, we found that  
17 levels of under 50 parts per million are determined  
18 safe. And if you haven't looked at this study,  
19 it's exhaustive. It's about 100 pages, and it  
20 examines all of the products that are readily  
21 available on the market that use aloe vera products  
22 and when and where they are toxic and not toxic.

1 And their review concluded that it was in fact safe  
2 for topical use.

3 FDA mentioned that we have options for wound  
4 care. We do have a few options. Like I said, I've  
5 been in this industry for quite some time and wound  
6 care is always a struggle and a challenge for many  
7 doctors and patients.

8 These are good options. Collagenase is used  
9 pretty widely. Regenerex is used less widely,  
10 obviously, because there are limitations to how  
11 long and for how much of it can be used. We're not  
12 proposing that aloe vera would be the monotherapy.  
13 In fact, generally speaking, what we see with our  
14 clients is that they're using it as adjunct.  
15 They're adding it to topical antibiotics, or  
16 proliferatives, or other agents to assist in the  
17 wound healing process.

18 I wanted to just look at a couple of  
19 positive studies because when our physicians are  
20 looking for ways in which to treat their patients,  
21 their patients that are not responding to  
22 traditional therapies, this is what they're looking

1 at. And when they're thinking, oh, I heard about  
2 aloe vera, I maybe want to try that, I heard that  
3 it's somehow successful in burns or wound healing,  
4 because like we said, it's been used for thousands  
5 of years, they're looking to the literature and  
6 they're finding this kind of data.

7           This was done on rats. And in this case,  
8 they compared the effects of an aloe vera gel with  
9 a saline control and an aqueous cream placebo.  
10 They also tested it against silver sulfadiazine  
11 cream at 1 and .5 percent, which would be a more  
12 standard therapy. But they also tried a, silver  
13 sulfadiazine and aloe vera combination and a silver  
14 sulfadiazine and nystatin combination. And what  
15 they found was that in the silver sulfadiazine  
16 group, they actually had a retardation of wound  
17 contraction. When they added the aloe vera in both  
18 the nystatin case and the aloe vera case, when they  
19 added those to silver sulfadiazine, they saw a  
20 reversal of this trend.

21           So this is showing that there's something  
22 else happening. They're assisting the silver



1 sulfadiazine in whatever it's doing and then adding  
2 an additional component. The nystatin is an anti-  
3 fungal, and aloe vera has also been shown to have  
4 anti-fungal activity.

5 In another study on rats, we found  
6 that -- and in this case, they actually specified  
7 that they used lyophilized freeze dried gel, and  
8 that's going to be consistent with the aloe vera  
9 freeze dried powder that we're speaking about  
10 today.

11 In this case, they measured the effects of  
12 the aloe vera gel on collagen, hexosamine, total  
13 protein, DNA content, rates of wound contraction,  
14 epithelialization, and tensile strength to measure  
15 the effect it was having on the treated and the  
16 untreated wounds.

17 The results indicated that wounds treated  
18 with aloe vera, in the diabetic wounds in this  
19 case, or the diabetic rats in this case, showed  
20 that it had enhanced effect on all of the processes  
21 of wound healing.

22 So back to the FDA approved drugs. Yes,

1 they have a specific goal in mind, but they don't  
2 necessarily handle all of the aspects of wound  
3 healing. And that's again, is what we find that  
4 physicians are looking for in terms of treating  
5 their patients with complex wounds.

6 This was another one. This was a human  
7 study since we talked about mice. Of course, this  
8 is a small group of patients, but they were treated  
9 with either aloe vera or Vaseline. And what we  
10 found in the Vaseline treated case that they healed  
11 almost 8 or 7 days slower than those treated with  
12 the aloe vera gel, and only minor adverse events  
13 were observed.

14 Here's another study. Again, a small group  
15 of 50 patients with partial thickness burns were  
16 divided into two random groups. The aloe vera gel  
17 was used from unrefined gel taken from the inner  
18 leaf, so they did not use a concentrate.

19 The aloe vera gel was compared with  
20 1 percent silver sulfadiazine, and the results were  
21 that in the aloe gel group, they healed remarkably  
22 quicker than the 1 percent silver sulfadiazine

1 group. And then the aloe vera group also reported  
2 that they were relieved of pain earlier. These  
3 aren't necessarily conclusive findings, but they  
4 are findings that would support that physician  
5 giving this a shot.

6 To conclude, what I have found in my  
7 research of aloe vera, is that aloe vera modulates  
8 inflammation and increases rates of wound  
9 contractions and epithelialization. It decreases  
10 scar tissue, which is important because when these  
11 wounds are healing poorly, they tend to create  
12 aberrant healing processes and overstimulation of  
13 collagen production, which can lead to keloid  
14 scars, or hypertrophic scarring, which is also a  
15 problem that will then have to be managed.

16 It increases the organization of regenerated  
17 scar tissue, increases level of collagens and  
18 glycosaminoglycans, and there is low to no  
19 occurrence of serious adverse effects topically or  
20 orally. And you can look at these full term  
21 references to read more about that.

22 So for this reason, I feel that this

1 substance is relatively safe. And it has been used  
2 in compounding for a long period of time, like I  
3 said, as an adjunct therapy. But I would hate to  
4 see it go away as something that a physician might  
5 want to try.

6 **Clarifying Questions from the Committee**

7 DR. GULUR: We will now entertain clarifying  
8 questions for the nominator from the committee.  
9 Dr. Jungman?

10 MS. JUNGMAN: You referred a few times to  
11 the industry standard for this, and I was wondering  
12 whether that is documented anywhere and if you have  
13 any sense of how broadly it's followed.

14 MS. KIEFFER: It is documented in the  
15 clinical or the final review that the cosmetic  
16 review board did. So what we're seeing in terms of  
17 what we use, this 0.1 to 0.5 percent, this is  
18 typically what's used in commercial and cosmetic  
19 products.

20 MS. JUNGMAN: And then just to follow up,  
21 did that consider use on wounds at all?

22 MS. KIEFFER: No.

1 DR. GULUR: Thank you. We will now proceed  
2 with FDA presentations for D-ribose.

3 **FDA Presentation - Shari Targum**

4 DR. TARGUM: Good afternoon, ladies and  
5 gentlemen, members of the advisory committee. My  
6 name is Shari Targum. I am a clinical team leader  
7 in the Division of Cardiovascular and Renal  
8 Products, and I will be giving the presentation on  
9 D-ribose in heart disease. I would like to start  
10 by acknowledging my colleagues who reviewed  
11 D-ribose for the division.

12 D-ribose has been nominated for use in the  
13 treatment of heart disease and chronic fatigue  
14 syndrome. This presentation will focus on the  
15 treatment of heart disease, and Dr. Maynard will  
16 give the next presentation on chronic fatigue  
17 syndrome. D-ribose has been studied as an adjunct  
18 metabolic agent and not as an alternative to  
19 approved therapies for cardiovascular disease.

20 As far as historical use, there is evidence  
21 of academic investigator studies in humans as far  
22 back as 1946 and use as a dietary ingredient as

1 early as 1999. However, we were unable to find  
2 evidence of pharmacy compounding for drug use.

3 This slide shows the chemical structure of  
4 D-ribose, a monosaccharide with an aldehyde ribose  
5 group at one end. D-ribose is a naturally  
6 occurring compound and a component of some  
7 biomolecules, such as ATP. D-ribose is  
8 commercially available and has been used as a food  
9 additive.

10 There are several ways that one can  
11 synthesize D-ribose. The most likely route is  
12 fermentation based synthesis, and D-ribose appears  
13 to be well characterized physically and chemically.

14 This slide summarizes 3 non-clinical  
15 studies. In the first, 3 doses of oral D-ribose,  
16 along with control, were administered to rats for  
17 13 weeks, with a reported dose related increase in  
18 water consumption, decrease in body weight, and  
19 increase in cecal weights.

20 In the second study, a 28-day study of  
21 rabbits given intravenous D-ribose, there was an  
22 increase in neutrophil percentage and a decrease in

1 glucose levels in males, and no values were  
2 provided.

3 Han administered 2 doses of intraperitoneal  
4 D-ribose to mice for 30 days and compared to a  
5 glucose control, the mice exhibited impairment of  
6 spatial learning and memory ability.

7 Proceeding to human safety data, there are  
8 limited human safety data and no long-term  
9 information. From publications, there have been  
10 reports of hypoglycemia, hyperperistalsis, loose  
11 stool, diarrhea, gastrointestinal discomfort,  
12 nausea, uric acid elevations, elevations in liver  
13 enzymes, and increased serum uric acid.

14 I'm going to highlight two safety concerns.  
15 Asymptomatic mild hypoglycemia was reported in a  
16 crossover study of 19 healthy subjects. In the  
17 publications, there were no reports of the signs  
18 and symptoms of hypoglycemia, however, the  
19 controlled efficacy studies were small and either  
20 excluded diabetics or did not report glucose  
21 effects. D-ribose may not register on a  
22 glucometer, creating potential challenges for

1 optimal insulin management in diabetics.

2           There are no publications evaluating  
3 long-term exposure to D-ribose. Advanced glycation  
4 end products are formed by the non-enzymatic  
5 glycation of free amino acids by reducing  
6 saccharides such as D-glucose and D-ribose and are  
7 associated with vascular and neurologic  
8 complications.

9           AGEs are said to induce inflammation in  
10 intracellular reactive oxygen species. According  
11 to Harrison's Principles of Internal Medicine,  
12 AGEs, quote, "bind to a cell surface receptor  
13 leading to cross linking of proteins, accelerated  
14 atherosclerosis, glomerular dysfunction,  
15 endothelial dysfunction, and altered extracellular  
16 matrix composition."

17           According to Han, D-ribose is highly active  
18 in the production of AGEs, and D-ribose injection  
19 in mice impaired spatial learning and memory. Many  
20 diabetics develop progressive cognitive impairment,  
21 and high levels of urinary D-ribose have been  
22 measured in diabetic patients.



1           One proposed mechanism for diabetic  
2 cognitive impairment has been the accumulation of  
3 AGEs as the result of high D-ribose concentrations.  
4 However, as I mentioned, there are no clinical data  
5 concerning the short- or long-term cognitive  
6 effects of D-ribose.

7           The next two slides show the placebo  
8 controlled studies in heart disease. In 1992,  
9 Pliml studied the effect of placebo or oral  
10 D-ribose in 20 men with stable coronary disease and  
11 found a statistically significant greater treadmill  
12 time to 1 millimeter ST depression with D-ribose  
13 compared to placebo, but the electrocardiogram  
14 readers were unblinded and there was no difference  
15 in the time to angina. Except for the Pliml study,  
16 the other efficacy publications in these slides  
17 were co-authored by St. Cyr, who was an employee of  
18 Bioenergy.

19           In a randomized, double-blind crossover  
20 study, Omran gave a 3-week course of either  
21 D-ribose or a placebo to 15 patients with coronary  
22 disease and congestive heart failure. While there

1 were quality of life and functional improvements in  
2 both groups that the authors noted were  
3 statistically significant with D-ribose, the study  
4 did not compare between group differences. So the  
5 comparison was between baseline and post-treatment  
6 for D-ribose.

7 Sawada conducted a randomized, double-blind  
8 crossover study in 26 patients with ischemic  
9 cardiomyopathy and gave D-ribose or a placebo  
10 during dobutamine stress testing. The authors  
11 found no effect on stress induced ischemia.

12 In an uncontrolled study, Vijay studied 16  
13 heart failure patients with cardiopulmonary testing  
14 and found an improvement in ventilatory parameters  
15 compared to baseline, but there was no comparator  
16 group.

17 Perkowski administered preoperative oral  
18 D-ribose to 40 patients for off-pump coronary  
19 artery vascularization and reported an improvement  
20 in mean cardiac index but no other changes were  
21 reported. And again, there was no comparator.

22 As this slide demonstrates, there are many

1 available therapies for angina and congestive heart  
2 failure, including pharmacologic therapies for  
3 angina and also non-pharmacologic options.

4 In conclusion, D-ribose is well  
5 characterized physically and chemically. There is  
6 no convincing evidence of a meaningful clinical  
7 benefit. There are many safe and effective FDA  
8 approved therapies available for angina and  
9 congestive heart failure.

10 There is limited safety information,  
11 including reports of glucose lowering,  
12 hypoglycemia, diarrhea, hyperperistalsis, loose  
13 stool, gastrointestinal discomfort, and nausea.  
14 And although used since 1999 as a dietary  
15 ingredient, there is insufficient information  
16 regarding the historical use of pharmacy  
17 compounding for drug use.

18 Non-clinical data indicate that D-ribose  
19 causes non-enzymatic protein glycation leading to  
20 the formation of advanced glycation end products,  
21 or AGEs. In one mouse study, D-ribose  
22 administration led to impaired spatial learning and

1 memory ability.

2           There are no direct human data that address  
3 whether D-ribose affects cognitive ability and  
4 memory, although the presence of both cognitive  
5 impairment and high urinary levels of D-ribose in  
6 diabetic patients raise that possibility.

7           We do not recommend that D-ribose be  
8 included in the list of bulk drug substances that  
9 can be used in compounding under Section 503A of  
10 the Federal Food, Drug, and Cosmetic Act. Thank  
11 you very much.

12                   **Clarifying Questions from the Committee**

13           DR. GULUR: Thank you. We will now  
14 entertain clarifying questions from the committee.  
15 Dr. Wall?

16           DR. WALL: I have a question about the  
17 hypoglycemia. You were talking in that study about  
18 asymptomatic mild hypoglycemia. Was that a certain  
19 percentage that dropped? Was it a couple of  
20 points? What defined out asymptomatic mild  
21 hypoglycemia?

22           DR. TARGUM: Yes, that's a good question. I

1 looked at the publication, and I did not see  
2 values.

3 DR. WALL: So what led them to say it was  
4 anything you could pick up that said it was -- what  
5 led them to that conclusion?

6 DR. TARGUM: Yes, it's a report.

7 DR. WALL: Okay. And then also the last  
8 comment, and I was curious, the D-ribose may not  
9 register on a glucometer. Can you tell me what  
10 were they -- were they saying that the -- I don't  
11 understand the comment, let's just put it that way.

12 DR. TARGUM: That comment did not come from  
13 the publications. That comment was just -- in  
14 looking at D-ribose, we looked very carefully at  
15 whether the hypoglycemia could have been related to  
16 glucometers. But we think it's more than that.  
17 There was an animal study where glucose lowering  
18 was reported. There was at least one study where a  
19 lowering of glucose was reported. So we think that  
20 the phenomenon is more than just a glucometer  
21 issue.

22 DR. WALL: Okay, so you were thinking that

1 the ribose had a direct interaction with the  
2 glucometer, which caused a question, but you've now  
3 said, no, there's really some level of change in  
4 the glucose within the blood; you just don't know  
5 what it is.

6 (Dr. Targum nods in affirmation.)

7 DR. WALL: Okay.

8 DR. GULUR: Dr. Braunstein?

9 DR. BRAUNSTEIN: Yes. I just have a  
10 question. It's again about this hypoglycemia. I  
11 think what you're referring to is hypoglucosemia.  
12 Right? Because there's ribose in the blood at that  
13 time, or at least it would seem to be.

14 What tissues can utilize ribose as an  
15 alternative sugar source? How does the body -- can  
16 the body utilize ribose as an alternative sugar  
17 source? Because if you're just substituting ribose  
18 for glucose, it seems that may be perhaps why it's  
19 asymptomatic, it's not such a big deal.

20 DR. TARGUM: I'm going to let my colleague  
21 from the Division of Metabolic And Endocrine  
22 Products address that question.

1 DR. CHONG: William Chong, Division of  
2 Metabolism and Endocrine Products. So I'll first  
3 address Dr. Wall's comments about the glucometer  
4 question. Based on my review of the published  
5 literature, there are some very old studies, that  
6 probably did not utilize glucometers, and they  
7 measured glucose level changes that ranged anywhere  
8 from 10 to 40 milligrams per deciliter. Most of  
9 these were in normal human volunteers. How this  
10 applies to diabetics is not really clear.

11 In regards to Dr. Braunstein's question  
12 about the utilization of ribose, I'm not entirely  
13 clear where and what tissues can utilize ribose as  
14 a metabolic fuel, but that is the hypothesis  
15 about -- to explain why there is a decreasing  
16 glucose, that perhaps the ribose is being utilized  
17 and there is a decreased need for glucose in the  
18 bloodstream for those tissues, but not aware of  
19 that being a definitively understood concept.

20 DR. GULUR: Thank you.

21 We will now proceed with nominator  
22 presentations. We have one presentation on

1 D-ribose from Ms. Kieffer from Fagron.

2 My apologies, we do have another presenter  
3 from the FDA.

4 **FDA Presentation - Janet Maynard**

5 DR. MAYNARD: Good afternoon. My name is  
6 Janet Maynard, and I'm a clinical team leader in  
7 the Division of Pulmonary, Allergy, and  
8 Rheumatology Products. I will be discussing  
9 D-ribose for chronic fatigue syndrome. The review  
10 team for D-ribose for chronic fatigue syndrome is  
11 listed on this slide.

12 By way of overview, D-ribose was nominated  
13 for use in heart disease and chronic fatigue  
14 syndrome. This presentation will focus on the use  
15 in chronic fatigue syndrome. The term chronic  
16 fatigue syndrome will be used because this was the  
17 term used in the nomination.

18 FDA does not recognize a particular  
19 definition or name as appropriate for use in  
20 clinical trials of drug products for chronic  
21 fatigue syndrome, which is also referred as myalgic  
22 encephalomyelitis and systemic exertion intolerance



1 disease.

2 In the literature, one study was identified  
3 that assessed the use of D-ribose for chronic  
4 fatigue syndrome. This was an open-label,  
5 uncontrolled pilot study performed to evaluate the  
6 use of D-ribose in 41 patients with fibromyalgia  
7 and chronic fatigue syndrome.

8 Patients received 5 grams of D-ribose orally  
9 3 times per day until the 280 gram container was  
10 empty. Five patients were excluded from the  
11 analyses due to non-compliance, thus 36 patients  
12 were included in the analyses. The average age was  
13 48 years; 75 percent had a previous diagnosis of  
14 fibromyalgia, and 58 percent had a previous  
15 diagnosis of chronic fatigue syndrome. The average  
16 duration of therapy was 28 days.

17 In terms of safety results from the study, 5  
18 patients did not complete the study, 3 discontinued  
19 due to adverse events, including hyper-anxious  
20 feeling, lightheadedness, and increased appetite;  
21 2 patients did not begin the study.

22 Of the remaining 36 patients who completed

1 the study, one patient experienced transient nausea  
2 and the other felt mild anxiety. You have heard a  
3 description of the other safety considerations,  
4 including hypoglycemia, by the Division of  
5 Cardiovascular and Renal Products.

6 The authors reported significant  
7 improvements in energy level, sleep patterns,  
8 mental clarity, pain threshold, and patient states  
9 of well-being when comparing questionnaires and  
10 enrollment and at the completion of the study in  
11 all patients.

12 When evaluating the efficacy results by  
13 underlying diagnosis, the 9 patients with chronic  
14 fatigue syndrome noted improvement on the measured  
15 parameters outlined in this table. Of the 35  
16 patients completing the assessment of overall  
17 subjective feelings, 23 or 66 percent experienced  
18 improvement during the course of the study being  
19 somewhat better to much better while taking  
20 D-ribose.

21 Of note, limited conclusions are possible  
22 from the available data. Only a single study was

1 identified, and it is open-label. Thus, it did not  
2 have a comparator group. Further, the number of  
3 patients with chronic fatigue syndrome was small,  
4 and the clinical interpretation of the numerical  
5 changes is unclear.

6 This review focused on the intended use for  
7 chronic fatigue syndrome, which is a serious  
8 disease. No treatments have been approved by FDA  
9 for chronic fatigue syndrome. While the efficacy  
10 of D-ribose for chronic fatigue syndrome is  
11 unclear, it is used by some patients for treatment  
12 of symptoms associated with chronic fatigue  
13 syndrome.

14 As discussed by DCRP, D-ribose appears  
15 physically and chemically well characterized. I'll  
16 refer you to DCRP's presentation regarding  
17 historical use in compounding. Limited safety data  
18 from one uncontrolled study suggests D-ribose is  
19 generally well tolerated in chronic fatigue  
20 syndrome. Other safety considerations have been  
21 reviewed by DCRP.

22 While the efficacy of D-ribose for chronic

1 fatigue syndrome is unclear, it is used by some  
2 patients for treatment of symptoms associated with  
3 chronic fatigue syndrome. While this lack of  
4 evidence of efficacy would be dispositive for a new  
5 drug application, which is required to include  
6 substantial evidence of efficacy, efficacy is only  
7 1 of 4 criteria for bulk drug substances, and as  
8 noted in the proposed rule from January 1999, a  
9 single criteria would not be dispositive on its  
10 own.

11 Further, an important consideration weighing  
12 on the division's recommendation is the context of  
13 use. There is significant unmet medical need for  
14 chronic fatigue syndrome as there are no approved  
15 agents indicated for the treatment of chronic  
16 fatigue syndrome, a serious disease.

17 Given these considerations, we recommend  
18 that D-ribose be placed on the list of bulk drug  
19 substances that can be used in compounding under  
20 Section 503A of the FD&C Act for the proposed  
21 indication of chronic fatigue syndrome.

22 DR. GULUR: Thank you. We have one more

1 presentation from the FDA.

2 **FDA Presentation - Susan Johnson**

3 DR. JOHNSON: One more D-ribose  
4 presentation. As we've discussed this morning, I'm  
5 Sue Johnson. I am presenting for Dr. Ganley who is  
6 ill today. We talked about the reason that ODE IV  
7 is involved in this determination similarly to this  
8 morning's discussion. The review divisions that  
9 participated in this review are highlighted in red,  
10 and again in green ODE IV.

11 The divisions have reviewed the information  
12 and arrived at a recommendation based on their  
13 benefit/risk assessment. And again, as sometimes  
14 occurs, they have reached different recommendations  
15 based on different uses of D-ribose. The review  
16 division memos and their presentations today have  
17 provided their rationale, and we want to thank them  
18 for carefully reviewing the data and thoughtfully  
19 deriving their recommendations.

20 Because there's not one uniform  
21 recommendation, ODE IV was tasked with reviewing  
22 the memorandum, and we have concurrence from the

1       OND director that you heard from earlier, Dr. John  
2       Jenkins.

3               There are numerous websites that advocate  
4       the use of D-ribose for a variety of conditions.  
5       And in addition, there are various use patents that  
6       have been submitted to the patent and trademark  
7       office for consideration. D-ribose was nominated  
8       for inclusion on the 503A bulk drug substances list  
9       for heart disease and myalgic encephalomyelitis  
10      chronic fatigue syndrome, which I will refer to as  
11      ME/CFS.

12              D-ribose has been marketed as a dietary  
13      supplement. It's sold as a powder or capsule,  
14      either alone or in combination with other dietary  
15      ingredients. It can be purchased today without a  
16      prescription on the internet or in stores. We are  
17      not aware of any history of compounding of  
18      D-ribose.

19              As a food additive, FDA received notice for  
20      D-ribose from Bioenergy Incorporated on February 8,  
21      2008. Bioenergy informed FDA that D-ribose is  
22      generally recognized as safe, or GRAS, provided

1 it's used in conjunction with an additional  
2 carbohydrate energy source.

3 On November 10, 2008, FDA sent a letter to  
4 Bioenergy acknowledging that Bioenergy had  
5 concluded that D-ribose is GRAS, that FDA had not  
6 made its own determination regarding GRAS, at the  
7 time, FDA had no questions regarding Bioenergy's  
8 conclusion, and that Bioenergy had and has the  
9 continuing responsibility to ensure that food  
10 ingredients that they market are safe.

11 To summarize the interpretation of the data  
12 from the review divisions that you've heard, the  
13 randomized studies of D-ribose in patients with  
14 heart disease and a single study in patients with  
15 ME/CFS do not provide evidence of effectiveness.  
16 D-ribose is associated with dose related  
17 asymptomatic hypoglycemia, and D-ribose can bind  
18 with proteins to form advanced glycation end  
19 products, AGEs.

20 Looking again at the study that you have  
21 heard about for ME/CFS, the single study was  
22 conducted in patients that had ME/CFS and

1 fibromyalgia. The subjects were enrolled through  
2 the Vitality 101 website, which was the website run  
3 by the study investigator.

4 Potential participants were asked to respond  
5 to an email newsletter that they had signed up for  
6 on the website. It's not clear from the study  
7 publication how subjects were screened for  
8 fibromyalgia or ME/CFS, and it is not clear whether  
9 this was a self-certification. Subjects were told  
10 through the website of the potential benefits of  
11 therapy and possible risks.

12 Those agreeing to participate were sent  
13 questionnaires in the mail and a 280 gram container  
14 of D-ribose, brand name Corvalen. They were  
15 instructed to ingest 5 grams 3 times a day until  
16 the container was finished. The study was not  
17 blinded, not controlled, and 9 subjects were  
18 reported to have ME/CFS.

19 The questionnaire was completed by patients  
20 and returned to the investigator. The  
21 questionnaire was comprised of a visual analog  
22 scale with numbering 1 through 10 responses to



1 questions related to energy, sleep, mental clarity,  
2 pain, and sense of wellbeing.

3 Thirty-six of the 41 patients completed the  
4 questionnaire and no significant benefit was shown  
5 in the ME/CFS subjects. We conclude that this  
6 study is not adequate to establish benefit in  
7 patients with ME/CFS. It did not enroll a  
8 sufficient number of subjects with the disease. It  
9 was unblinded and uncontrolled, and enrolled a  
10 population who may have been influenced by  
11 information on the investigator's website.

12 One of the safety concerns that OND has is  
13 about the potential association between D-ribose  
14 ingestion, particularly for the treatment of a  
15 chronic condition, and the product of advanced  
16 glycation end products, or AGEs. In this  
17 schematic, glucose is used as the example, but the  
18 schematic represents the metabolism of any reducing  
19 sugar, including D-ribose. Protein glycation can  
20 lead to the formation of protein/protein cross  
21 links.

22 Shown here are the sequence of reactions

1 that are shown with glucose that generate the  
2 Amadori product on the surface of the protein  
3 marked in green. Subsequently, there is formation  
4 of a protein/protein cross link via an amino group  
5 on the surface of a second or the red protein.

6 The net result is the formation of a  
7 covalent cross link between two proteins or other  
8 macro molecules. This macro molecule can undergo  
9 further glycation and cross link to yet another  
10 macro molecule or protein. These cross linked  
11 aggregates are formed over an extended period of  
12 time and are called advanced glycation end  
13 products, or AGEs.

14 The significance of advanced glycation  
15 endpoints we have learned in significant amounts  
16 from diabetics who are particularly susceptible to  
17 the formation of glycation endpoints. The  
18 glycation of hemoglobin forming Alc, hemoglobin  
19 Alc, is used in the assessment of diabetic  
20 treatment.

21 The progressive cross linking of long-lived  
22 proteins like collagen in vascular endothelial

1 cells leads to the progressive loss of elasticity  
2 and thickening of the basement membrane in blood  
3 vessels, promoting plaque formation. In the eye,  
4 the accumulation of aggregated proteins causes  
5 opacity of the lens and eventually presents itself  
6 in the form of cataracts.

7           So where does ribose fit into this issue  
8 with AGEs? There are numerous articles in the  
9 published literature that support the belief that  
10 D-ribose glycation occurs more readily than glucose  
11 glycation. Ribose protein glycation occurs in  
12 animals administered D-ribose and has been  
13 demonstrated in vitro in human bone. In diabetics,  
14 glucose macro molecule glycation leads to injury in  
15 tissues like cataracts, nephropathy, vascular  
16 tissue, and this is a process that occurs over  
17 years.

18           So the question is, with chronic use of  
19 D-ribose, what's the consequence of ribose  
20 glycation?

21           To provide some perspective on the amount of  
22 ribose ingested in the study, patients used 15

1 grams per day. And the American Heart Association  
2 recommends that added sugar intake, that is sugar  
3 in addition to that that occurs naturally in the  
4 diet, should range from between 25 and 37 and a  
5 half grams per day. So the amount of D-ribose  
6 ingested in the study was substantial.

7 The Office of New Drugs does not recommend  
8 D-ribose for the 503A bulks drug list because there  
9 is no history of compounding as a drug. There is  
10 no evidence to support the effectiveness for the  
11 conditions nominated.

12 These two criteria alone are sufficient to  
13 recommend that it not be on the 503A list, but in  
14 addition, there are potential safety concerns with  
15 the use of high amounts, like that used in the  
16 study and for extended periods of time in chronic  
17 conditions like ME/CFS or heart disease.

18 D-ribose is a dietary supplement. If it is  
19 not added to the 503A list, it will remain  
20 available as a dietary supplement if patients with  
21 ME/CFS or heart disease choose to use it. Again,  
22 the effectiveness of D-ribose has not been

1 adequately demonstrated in ME/CFS, and I should  
2 point out that there is also a concern about using  
3 it for extended periods of time in heart disease.

4 ME/CFS is a serious chronic, complex,  
5 systematic disease that can profoundly affect  
6 patients over time. Over the past several years,  
7 there have been efforts by the FDA, NIH, HHS, and  
8 the Institute of Medicine to enhance the  
9 understanding of the disease.

10 However, conducting open-label uncontrolled  
11 studies with D-ribose or other treatments in  
12 patients with this disease does not answer  
13 important effectiveness questions. And listed here  
14 are resources for information on ME/CFS. Thank  
15 you.

16 DR. GULUR: Thank you. At this time we will  
17 take clarifying questions from the committee.

18 (No response.)

19 DR. GULUR: As there are no clarifying  
20 questions, we will move on to the nominator  
21 presentations. We have one presentation from  
22 Ms. Kieffer from Fagron.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Nominator Presentation - Kimberly Kieffer**

MS. KIEFFER: Hello again. So ribose is an aldapentose, monosaccharide, but is also the key backbone of RNA. It's essential to the formation of ATP. So what we're searching for in these patients is a way to give them additional energy source. ATP is a main energy source for cellular function/dysfunction of which can be implicated in neuromuscular disease and many others. And I've provided here the pathway.

D-ribose is naturally occurring in milk, eggs, meat, nuts and vegetables. It is also endogenously produced. It is available as a food additive and dietary supplement. I've listed here a number of companies that are providing this material through online sources, Whole Foods, drug stores, et cetera.

Currently there is GRAS notification from Bioenergy that we discussed earlier. Of course the FDA always accepts with or without questions but needs to make their own determination, however this GRAS statement gives a lot of information about the

1 safety and efficacy, and also quite a bit of  
2 information on the dose limitations. What they are  
3 actually proposing in their GRAS statement is that  
4 they be allowed to add D-ribose to food products up  
5 to 17 grams. D-ribose is also the subject of a USP  
6 dietary monograph.

7           So we looked at some clinical assessments,  
8 and, yes, there are low concentrations of cohorts.  
9 We don't have a ton of really great substantial  
10 data, but we do see that it is having effect in  
11 some patients. For coronary artery disease, we're  
12 seeing showed improvement in the tolerance of  
13 ischemia. We're also seeing significant  
14 improvement of quality of life, and almost all of  
15 the studies that we've looked at report significant  
16 improvement of quality of life. Of course there  
17 are also studies that don't report significant  
18 improvements. And I won't go over the fibromyalgia  
19 study because I think we exhausted that one.

20           So in terms of safety, FDA notes no  
21 significant concerns regarding animal safety data.  
22 Few to no serious adverse effects have been

1 reported in clinical trials, and the oral toxicity  
2 study that was done in GRAS concluded no observed  
3 adverse events levels.

4 In terms of the AGEs, D-ribose induced  
5 advanced glycation products, there are studies.  
6 I've read them all. And they do suggest that  
7 ribose does hyper-induce the formation of AGEs much  
8 more rapidly than glucose, but AGEs are part of  
9 natural aging.

10 AGEs are implicated in the progression of  
11 diabetic diseases, retinopathy, neuropathy, heart  
12 disease, even neurotoxicity. Ribose has been shown  
13 in mouse studies and in vitro to induce AGEs more  
14 rapidly than glucose. It is known that in states  
15 of hypoglycemia, diabetic patients are also rapidly  
16 producing AGEs.

17 However, it is not conclusive based on the  
18 data that we have what the dose or exposure levels  
19 are to produce this effect, or specific risk.  
20 Ribose is part of our food source and is  
21 endogenously produced, and so far in studies, we've  
22 only demonstrated -- the animal studies only



1 demonstrated that excess dosing was responsible for  
2 the AGEs.

3           Also, I wanted to speak to the asymptomatic  
4 hypoglycemia. In most of the studies that we read,  
5 that we looked at in this, and I looked at several  
6 and FDA provided many others for us to look at, and  
7 also in the case of the Bioenergy statement, the  
8 hypoglycemia was asymptomatic and concluded  
9 statistically insignificant. It was also concluded  
10 that it was transient.

11           Again, what does this mean in terms of a  
12 diabetic patient? That's unforeseen. These are  
13 things that the physician that would want to try  
14 these particular therapies would need to evaluate  
15 certainly.

16           To conclude, simply, the material is well  
17 tolerated in clinical trials. Clinical data does  
18 suggest that there is a quality of life  
19 improvement. We're looking for an energy source  
20 for these people that have these chronic  
21 conditions.

22           Ribose is available as a dietary supplement

1 from many vendors without quality standards or  
2 monitoring. So again, this material is available  
3 to compounders as a USP product. This is a much  
4 higher standard than can be verified in some of the  
5 materials that can be gained online.

6 If this material is not available for  
7 compounding, physicians and patients will go to  
8 Amazon and buy this material. Compounding can  
9 provide formulations with specific USP monograph  
10 material in the particular dosage form that the  
11 physician is wanting. And the physician and  
12 compounder, or one, are at liberty to care for the  
13 success of the patient.

14 **Clarifying Questions from the Committee**

15 DR. GULUR: Thank you. We will now take  
16 clarifying questions for the nominator.

17 Dr. Braunstein?

18 DR. BRAUNSTEIN: What are the advantages,  
19 though, of providing this in a pharmaceutical form  
20 as opposed to -- just for clarification -- as  
21 opposed to as a food additive, going to Whole Foods  
22 and buying some D-ribose? Because I understand the

1 studies were done with the food additive. Is that  
2 right?

3 MS. KIEFFER: Right. It's considered a  
4 medical food, what the CSF study was done on. The  
5 difference is, is that a compounding pharmacy is  
6 specifically equipped to prepare drug dosage forms  
7 specific to the patient's needs. They have  
8 analytical equipment to prepare a dose of  
9 35 milligrams or 300 milligrams. Also, they have a  
10 lot of control in place, and SOPs in place, to make  
11 sure the best product is getting to the patient.

12 In addition, USP monographing sets a  
13 standard for what parameters the chemical must be  
14 consistent with. There are chemicals coming from  
15 all over the world. There are a lot coming from  
16 China. There are a lot coming from India,  
17 et cetera. Very few of them are prepared here.

18 Some of them are prepared in FDA inspected  
19 facilities, but some of them are not. Compounders  
20 are bound to use USP monograph material when  
21 available. So, in that case, they have a better  
22 product going forward. They have material that's

1       been verified for quality and purity.

2               DR. BRAUNSTEIN:  Yes, but I understand, but  
3       there's no USP pharmaceutical monograph for this  
4       product, otherwise there wouldn't be a need --

5               MS. KIEFFER:  Yes, there is.  There is a  
6       dietary monograph.

7               DR. BRAUNSTEIN:  There's a dietary  
8       monograph?

9               MS. KIEFFER:  Yes, but a dietary monograph  
10       is better than no monograph.  That means the USP  
11       sat down and decided what would be appropriate for  
12       this particular chemical, and it will be measured  
13       against that.

14              DR. GULUR:  Dr. Davidson, would you like to  
15       comment?

16              MS. DAVIDSON:  I'm not aware of a dietary  
17       supplement monograph for ribose.  Is that maybe  
18       something that's not yet official?  I'm not finding  
19       it anywhere in the USP database.

20              MS. KIEFFER:  Really?  I believe there is  
21       one.  I can verify that.

22              MS. DAVIDSON:  Okay.

1 DR. GULUR: Any other questions?

2 (No response.)

3 DR. GULUR: Thank you.

4 Thank you everyone. We will now have our  
5 afternoon break. Committee members, please  
6 remember that there should be no discussion of the  
7 meeting topic during the break among yourselves or  
8 with any member of the audience. Please return to  
9 your seats by 2:30. Thank you.

10 (Whereupon, at 2:16 p.m., a recess was  
11 taken.)

12 DR. GULUR: Welcome back, everyone. If  
13 everyone could please take their seats, we will now  
14 continue with the FDA presentations. Dr. Muniz?

15 Commander Muniz, if you would like to do the  
16 presentation on chondroitin.

17 **FDA Presentation - Javier Muniz**

18 CDR MUNIZ: Hello. Good afternoon,  
19 everyone. I'm here to talk to you about  
20 chondroitin sulfate. My name is Commander Javier  
21 Muniz. I am a medical reviewer with the Division  
22 of Anesthesia, Analgesia, and Addiction Products,

1 and I'm going to be presenting to you on behalf of  
2 our team.

3 I want to personally thank the members of my  
4 team and their contributions to this project,  
5 Dr. BeLinda Hayes and Dr. Daniel Mellon from the  
6 pharmacology/toxicology point of view; Dr. John  
7 Feeney, my clinical team lead supervisor; and  
8 Dr. Normal Schmuft and his team from chemistry.

9 Chondroitin sulfate has been nominated for  
10 the topical use of the treatment of joint pain  
11 associated with osteoarthritis. Before we get into  
12 the presentation, I want to point out something  
13 you're going to notice over and over throughout the  
14 presentation, is that there's very little data that  
15 we could find on topical chondroitin use, so we  
16 expanded our search into the medical literature and  
17 so on, and we expanded into oral chondroitin.

18 The reason we did that was because we  
19 thought that that could give us some insights into  
20 the potential analgesic properties that the moiety  
21 may have and give us some insights about  
22 potentially the safety profile of chondroitin

1 sulfate.

2           What is chondroitin sulfate? So chondroitin  
3 sulfate is a glycosaminoglycan, and it's a long  
4 chain of alternating sugars. These chains could be  
5 over 100 units long. And this could be sulfated  
6 throughout various positions here in the molecule,  
7 and we're going to talk a little bit about that  
8 later.

9           Normally in the body, it is found attached  
10 to proteins, and we call those proteoglycans.  
11 Chondroitin sulfate is thought to be one of the  
12 main components of cartilage, and we think it gives  
13 the compression resistant.

14           So this is remarkably stable under neutral  
15 conditions at a low temperature, but degradation  
16 and desulfation occurs at elevated temperatures.  
17 We see a breakdown of these long polysaccharide  
18 linkages under acidic circumstances, such as in the  
19 stomach and under basic conditions.

20           Commercially, we get chondroitin sulfate  
21 from animal tissue. Most of the chondroitin  
22 sulfate in the United States comes from bovine

1 sources, but it can also be porcine, avian,  
2 seafood. There are two major components of  
3 chondroitin sulfate, and one is chondroitin sulfate  
4 A, or chondroitin 4 sulfate, and the other one is  
5 chondroitin sulfate C, or chondroitin 6 sulfate.

6 So depending on the species, we may see that  
7 these ratios of A and C may be different. And it's  
8 usually a white powder, and it's available as a  
9 sodium salt, and it's soluble in water. Because we  
10 get chondroitin sulfate from animal tissues, there  
11 could be some concerns about contamination and so  
12 on, for example bovine spongiform encephalopathy.  
13 The way we deal with that is through a BSE importer  
14 letter, so we just don't get the product from  
15 places where BSE is endemic.

16 We've already mentioned briefly the  
17 pharmacology here. I want to talk to you about the  
18 nonclinical data, and again we're going to try to  
19 get what we can for the topical chondroitin  
20 sulfate, and then we're going to expand into the  
21 oral use.

22 How does this work? Well, the bottom line



1 is we don't know. Several people have proposed  
2 ideas about how this could happen, how could this  
3 be of help? And some of the ideas you can see in  
4 here. Some people think it may protect the  
5 chondrocytes or the cells that make up the  
6 cartilage. Some people think it may be kind of the  
7 building blocks for cartilage, so maybe it helps  
8 build them up. Some people have proposed that it  
9 stops the cartilage and some of this connective  
10 tissue from breaking down, or that it may have some  
11 anti-inflammatory properties.

12 From pharmacokinetics, there is no topical  
13 chondroitin data. We expect very minimal, if any,  
14 absorption. This is, remember, a very large  
15 molecule, highly charged, so its penetration  
16 through the skin is expected to be very low.

17 So from the oral administration, we expect  
18 very low bioavailability. Remember, we talked  
19 about the molecule becoming unstable under acidic  
20 circumstances. So it's likely that any absorption,  
21 or most of the absorption is of metabolic  
22 byproducts of chondroitin sulfate.

1           When it comes to safety pharmacology, again  
2 we have no topical studies, extremely limited data  
3 with a parenteral route of administration. There's  
4 no evidence of adverse effects on cardiovascular,  
5 gastrointestinal, renal systems, but we don't have  
6 any data on central nervous systems or the  
7 respiratory system. And toxicology, we don't have  
8 any oral or topical studies.

9           It is thought that this is not genotoxic at  
10 least in vitro. There are some developmental and  
11 reproductive studies. There's a very old single  
12 study in which they use subcutaneous injections of  
13 chondroitin sulfate in mice, and the following  
14 adverse events, effects were seen: cleft palate,  
15 flex or curled tails, and growth inhibition of the  
16 fetus.

17           However, it is important to note that these  
18 were not observed or any other adverse effects were  
19 reported using oral administration in rats or mice.  
20 Unfortunately, the actual study reports are not  
21 available, and it has very limited utility when  
22 discussing what's ahead here in the topical use of

1 chondroitin sulfate. For carcinogenicity, we don't  
2 have any studies either from dermal or oral.

3 Our non-clinical conclusion is that the  
4 clinical safety profile has not been adequately  
5 characterized, and we'll see in a minute some of  
6 the things we can do to help with that. But  
7 there's no evidence of adverse effects based on  
8 this limited data we have.

9 Some of the things we would like to see to  
10 better characterize the safety profile of  
11 chondroitin sulfate are chronic toxicology studies  
12 in two species, the same with carcinogenicity. We  
13 need some more reproductive and developmental  
14 toxicology. And with topical use, sometimes when  
15 we see things such as hypersensitivity reactions,  
16 photosensitivity, so we need some studies also to  
17 adequately characterize the safety profile of  
18 chondroitin sulfate when used topically.

19 So I'm going to switch here gears, and on  
20 the next few slides, I'm going to be discussing the  
21 clinical safety profile of chondroitin sulfate.  
22 And again, you'll see that we have very limited, if

1 any, information from topical use, and we just have  
2 to expand to gain some insights into some of the  
3 oral use.

4 What we did here is we looked through the  
5 literature, and then we also took a look at the  
6 FAERS and the CAERS databases, which are some of  
7 the voluntary mechanisms we have for people to  
8 report adverse events to the FDA. So let me  
9 discuss here a little bit of what we have.

10 For topical chondroitin use, we have a  
11 single study, and this was done by Cohen in 2003.  
12 And that's topical chondroitin as part of a  
13 combination product. This product had chondroitin,  
14 glucosamine, camphor, peppermint oil. The study  
15 tells us that it was well tolerated for 2 months,  
16 it was a small study with 30 patients, and they  
17 were being treated for osteoarthritis knee pain.

18 We have a lot more experience with oral  
19 chondroitin. Notable adverse events in the  
20 literature are allergic reactions, elevated liver  
21 enzymes, and drug/drug interactions. We saw a  
22 number of cases of elevated INR. INR is

1 international normalized ratio. It gives us an  
2 idea of the coagulability of the blood. And it was  
3 observed with concomitant use of warfarin, which is  
4 a blood thinner.

5 So in the FAERS and CAERS databases, again,  
6 very minimal experience with the topical  
7 chondroitin. There was only one case of one rash,  
8 and unfortunately was confounded by multiple  
9 factors, so we can't really draw any conclusions  
10 from that. We did see also other cases of elevated  
11 INR with concomitant use of warfarin.

12 Now, I am going to talk about the GAIT  
13 trial. This is the Glucosamine/Chondroitin and  
14 Arthritis Intervention Trial. It's a trial we're  
15 going to be talking about later when we discuss  
16 clinical efficacy. But this was a large trial  
17 conducted by NIH. And they used an arm of  
18 chondroitin sulfate and another one of a  
19 combination of chondroitin sulfate and glucosamine,  
20 and it was for a 6-month period. They also did an  
21 extension of that study, known as the GAIT 2, for 2  
22 years.

1           So roughly, 300 patients were assigned to  
2 the chondroitin only and another 300 to chondroitin  
3 and glucosamine. And what we learned from that  
4 study is that there were no serious adverse events  
5 noted that could be directly attributed to  
6 chondroitin monotherapy.

7           Chondroitin sulfate is an approved product  
8 in multiple countries throughout the world. One of  
9 the things we did is we found, for example,  
10 Droglican is approved in the European Union and is  
11 manufactured by Bioiberica in Spain. And we looked  
12 into a summary of product characteristics, which is  
13 sort of the equivalent or similar to our package  
14 inserts or labels, and we looked at some of the  
15 undesirable effects.

16           You can see here from the gastrointestinal  
17 disorders, we see nausea, hypersensitivity, edema,  
18 fluid retention being very rare, and under special  
19 warnings and precautions, it states that patients  
20 with impaired glucose tolerance should be  
21 monitored. And in very rare occasions in such  
22 patients cases of edema and water retention have

1       been reported.

2               There's no dose response information for  
3 oral or topical chondroitin use. In the clinical  
4 safety conclusions, again, I want to highlight that  
5 we have minimal experience reported with topical  
6 chondroitin. We have one case reported in the  
7 FAERS database of a rash with topical chondroitin,  
8 but it had multiple confounding factors.

9               We do have a lot more experience with oral  
10 chondroitin, as it has been summarized here. There  
11 may be interactions with warfarin and a risk for  
12 bleeding associated with the use of oral  
13 chondroitin based on these cases of drug/drug  
14 interactions in both the FAERS and in the medical  
15 literature.

16               None of the warfarin interaction cases were  
17 specifically linked to the topical use of  
18 chondroitin.

19               So, let's reach now to clinical efficacy.  
20 Again, the only one study that we just discussed,  
21 the Cohen study from 2003, this was a randomized,  
22 placebo-control, parallel-group trial with roughly

1 60 patients, and people were treated for 2 months.  
2 Patients were instructed to apply this cream  
3 liberally into the joint and repeat as necessary.  
4 On average, people were using the creams about  
5 3 times a day.

6 The study tells us that there were improved  
7 pain scores observed at 8 weeks. It's important  
8 again to highlight that this was a combination  
9 product. The study was not designed to evaluate  
10 the single components here, so it's hard to draw  
11 any conclusions. Also, the author tells us that  
12 there were some concerns about the blinding.  
13 Apparently, there were some texture differences  
14 between the placebo and the active cream.

15 Again, the GAIT study, this was a  
16 randomized, placebo-controlled, active controlled  
17 trial investigating the efficacy of oral  
18 glucosamine and oral chondroitin sulfate, and it  
19 enrolled 1500 patients for a 6-month period. It  
20 had a full factorial design, and they had a placebo  
21 arm, 1200 milligrams a day of oral chondroitin,  
22 1500 milligrams of glucosamine, a combination of



1 both the 1200 and the 1500 milligrams of  
2 chondroitin and glucosamine, and a positive control  
3 of 200 milligrams of celecoxib or Celebrex daily.

4 You can see here on the overall results that  
5 chondroitin, all the combination was not  
6 statistically significant. The measure here was  
7 the response rate, so they just didn't  
8 differentiate from placebo while celecoxib did.

9 The second part of this slide here, the  
10 subgroup, this is an often quoted, often mentioned,  
11 post hoc analysis that was conducted in which they  
12 looked at patients that had over 300 points on the  
13 WOMAC pain subscale. And in this one, chondroitin  
14 or celecoxib were not statistically significant  
15 from placebo, but the combination product was.

16 Here's a list of some of the studies that we  
17 looked at, and you can find them in your background  
18 document. They range in size and duration of  
19 treatment and so on, but we think that the GAIT  
20 study is definitely the most significant of these  
21 studies, the one that we can look at and find the  
22 most information.

1           We could not find information on the  
2 historical use of chondroitin in pharmacy  
3 compounding, either topically or orally, although  
4 oral chondroitin use has been discussed for at  
5 least 3 to 4 decades in the medical literature. It  
6 has been used to treat multiple conditions,  
7 including joint pain associated with  
8 osteoarthritis, interstitial cystitis, and  
9 overactive bladder. It has also been used in  
10 products for the treatment of dry eyes, corneal  
11 inflammation, and for cataract surgical procedures.

12           In conclusion, chondroitin sulfate is  
13 specified in mixtures that can be characterized  
14 with various analytical techniques, and it's stable  
15 both as a solid and an aqueous solution, so it is  
16 well characterized. However, there are  
17 insufficient data to support the safety or efficacy  
18 of topical chondroitin in the treatment of joint  
19 pain associated with osteoarthritis, which is a  
20 serious condition. We also know that we have a  
21 number of safe and effective FDA approved agents  
22 that are available for the treatment of joint pain

1 associated with osteoarthritis.

2 Further clinical investigation with topical  
3 chondroitin should be monitored through the IND  
4 process. There is insufficient information on the  
5 extent of use of topical chondroitin in compounding  
6 to evaluate the significance of its historical use.

7 Finally, our recommendation is the  
8 following. We do not recommend that chondroitin  
9 sulfate for topical use be placed on the list of  
10 bulk drug substances that can be used in  
11 compounding under Section 503A of the Federal Food,  
12 Drug, and Cosmetic Act. So that's my presentation.  
13 Thank you.

14 DR. GULUR: At this time, we will take  
15 clarifying questions from the committee.

16 (No response.)

17 DR. GULUR: Since there are no clarifying  
18 questions, thank you Commander.

19 CDR MUNIZ: Thank you.

20 DR. GULUR: We do not have any nominator  
21 presentations for chondroitin, so we will now  
22 continue with FDA presentations for

1 acetyl-L-carnitine. Dr. Bergmann?

2 **FDA Presentation - Kenneth Bergmann**

3 DR. BERGMANN: Good afternoon. I'm Ken  
4 Bergmann from the Division of Neurology Products,  
5 and I'm presenting on behalf of the review team. I  
6 thank my colleagues, Dr. Carbone, Dr. Podskalny of  
7 DNP, and also Dr. Zhang from OPQ, and what I'm  
8 reporting represents the work of the combined  
9 group.

10 The nominated uses that we're going to  
11 consider for acetyl-L-carnitine, which I'm going to  
12 call ALC from now on, are peripheral neuropathy,  
13 cirrhosis of the liver, and specifically hepatic  
14 encephalopathy and Alzheimer's disease. We know a  
15 fair amount about ALC, and the reason is, as you'll  
16 see, is that a close relative of this, L-carnitine,  
17 is an approved drug. And part of the knowledge  
18 comes from the NDA holder for L-carnitine has  
19 actually done studies with ALC as well. I just  
20 want to emphasize that everything in the briefing  
21 materials is in the public domain.

22 In terms of chemistry, this is a well

1 characterized molecule that does decompose at its  
2 melting point. It's very soluble, but under  
3 aqueous solutions, there is a hydrolysis that can  
4 occur, so degradation may happen.

5 With regard to the chemistry, there are a  
6 number of synthetic routes, and likely impurities  
7 are not thought to be particularly toxic, to our  
8 knowledge. And the conclusion of Dr. Zhang, the  
9 OPQ reviewer, is that ALC is stable as a solid  
10 under ordinary conditions. It may have some  
11 stability issues when formulated as a solution.

12 With regard to non-clinical safety  
13 pharmacology, it's synthesized in a number of  
14 organs in the human by acetylation of carnitine.  
15 It has a key role in mitochondrial energy  
16 homeostasis and in phospholipid and acetylcholine  
17 synthesis.

18 For the non-biologists in the audience,  
19 mitochondria are the energy generators in mammalian  
20 cells. So they have key functions that are vital  
21 to life. Safety pharmacology investigations using  
22 intraperitoneal administration showed mild

1 increases in behavior in rats. There were no  
2 reports of cardiac or respiratory effects. In  
3 acute toxicity studies, lethal doses were  
4 associated with convulsions and death.

5           There were no adverse effects associated  
6 with intraperitoneal administration up to 300  
7 milligrams per kilogram. And in the repeat dose  
8 toxicities, which were up to 4 months in some  
9 species, there were no clear toxic effects.

10           However, there are gaps in the knowledge  
11 that are important. Dietary administration over  
12 three reproductive cycles did not appear to have  
13 clear effects in offspring of rats, but there's no  
14 real mutagenicity, carcinogenicity, or  
15 toxicokinetics data.

16           In conclusion, the available non-clinical  
17 information is limited, but didn't reveal any  
18 significant toxicity associated with ALC in  
19 animals.

20           With regard to clinical pharmacology,  
21 L-carnitine, the parent molecule, but ALC is also a  
22 prodrug in the sense that it can be metabolized to

1 L-carnitine. Under chronic conditions, dietary  
2 bioavailability is quite good.

3 Bioavailability is quite variable depending  
4 on length of administration and dose that's given,  
5 and we'll come back to that in a moment. The way  
6 it gets into a cell is a very stereospecific  
7 transport that can increase the concentration  
8 inside the cell, and specifically the mitochondria.

9 All of the L-carnitine related compounds,  
10 and we'll see this as a pool of compounds, exists  
11 in a concentration based dynamic intracellular  
12 balance. It's mostly excreted in the urine, and  
13 the remaining carnitine that is in the large  
14 intestine is broken down by GI bacteria. And after  
15 a single IV dose, it's rapidly excreted over 12 to  
16 24 hours.

17 Now this is a cartoon of the carnitine pool,  
18 and I'd just all your attention to the area inside  
19 the red square. This is inside the mitochondria,  
20 and what's important about this is to see that  
21 carnitine and acetyl-carnitine are in a balance.  
22 And I would use the analogy this is akin to filling

1 up a bathtub where you can only go so far, and then  
2 the overflow drain takes over, so the system  
3 remains in balance. And this is true whether you  
4 begin pushing with L-carnitine or acetyl-L-  
5 carnitine.

6 In terms of our sources of information for  
7 clinical safety, we have the L-carnitine label  
8 itself. As you can see, it would be very hard to  
9 distinguish the toxic effects of ALC from  
10 L-carnitine itself. We have CDER's Office of  
11 Surveillance and Epidemiology, the FDA Adverse  
12 Event Reporting System. What's important to note  
13 about this is that ALC would only appear in this  
14 database if it were co-administered with a  
15 prescription drug. And it's important to note that  
16 this is a voluntary reporting by patients and  
17 healthcare providers for serious adverse events.

18 The Center for Food Safety and Nutrition,  
19 CFSAN, also has similar adverse event reporting  
20 system. These reports contain scant information  
21 about the individual baseline medical condition,  
22 how much was taken, seriousness, and recovery, and



1 so forth. It's fairly bare bones. Then finally,  
2 we have information from clinical trials that  
3 include safety reporting.

4           Going through these with regard to the  
5 L-carnitine label, L-carnitine is approved for the  
6 indication of very specific inborn errors of  
7 metabolism where carnitine is affected, or  
8 secondarily affected, by other inborn errors of  
9 metabolism, a very small specific population of  
10 children really where in some cases the genetic  
11 defect exists in just one family.

12           There are no reports of L-carnitine  
13 overdose. There's no real contraindications or  
14 warnings, but it's not been fully evaluated in  
15 patients with renal insufficiency, which is  
16 important because that's how it's excreted.  
17 Chronic administration of high doses can result in  
18 potentially toxic metabolites. That's at least  
19 theoretical.

20           With regard to drug interaction, it does  
21 appear to affect the INR in patients taking  
22 warfarin, and that's been described more than once.

1 The effect on human pregnancy and unborn fetus is  
2 not known. It is likely to be excreted in milk.

3 Common adverse events are transient, nausea,  
4 vomiting and dizziness. Less frequent is body odor  
5 and gastritis. Now, body odor is important in this  
6 regard because it's described as a peculiar body  
7 order, occurs in some chemicals, but what's  
8 important about it is it makes clinical trials  
9 extremely difficult to evaluate in a blinded  
10 fashion. It takes a special kind of design to  
11 avoid that.

12 Seizures have been reported to occur in  
13 patients, both with and without pre-existing  
14 seizure activity. And in patients with  
15 pre-existing activity, there is an increased  
16 frequency and or severity reported.

17 In terms of the FAERS system, there were 13  
18 cases that were reported. Again, these were in  
19 association with other drugs. Five of the cases  
20 were for treatment of peripheral neuropathy, 8 were  
21 not reported, and attribution to ALC could not be  
22 determined, or it was unlikely given the case

1 details or the presence of a more likely etiology.  
2 And in every case, there was at least one  
3 additional suspect product.

4 In the CFSAN event system, a very broad  
5 search was performed looking at any form of  
6 carnitine. And ALC was only the solitary  
7 ingredient in 8 of the 68 events identified. I  
8 will say that there are 39 products on the market  
9 that contain ALC, last look at the food supplement  
10 database.

11 Products in the other reports containing ALC  
12 were formulated with a variety of all kinds of  
13 things, vitamins, minerals, trace metals, and other  
14 proprietary ingredients. The most common things  
15 that were seen in 31 patients that seemed to  
16 represent reasonable cases were convulsions in 5,  
17 GI distress, and allergic complaints, such as rash,  
18 swelling of the face, and symptoms that suggest  
19 hypersensitivity .

20 Clinical trials that included safety  
21 reporting for ALC, important to note that there  
22 were no new or previously undescribed adverse

1 events seen when compared to L-carnitine. And the  
2 most common adverse events collected in a  
3 non-systematic fashion from case reports and trials  
4 are listed here.

5 With regard to clinical efficacy, first  
6 peripheral neuropathy. It was studied for both  
7 prevention and treatment of peripheral neuropathy  
8 related to cancer chemotherapy, and diabetes  
9 mellitus, and HIV treatment.

10 Small clinical trials tended to show  
11 improvement in nerve conduction velocity, which is  
12 a measure of successful treatment of a peripheral  
13 nerve condition. It's basically measuring how fast  
14 a signal can go down a nerve. Also, some studies  
15 looked at patient reported pain. There were no  
16 measures however of the clinical meaningfulness of  
17 the outcome.

18 In a larger multicenter trial where ALC was  
19 given 2 grams per day for a year, there was a small  
20 benefit in this blinded trial in nerve conduction  
21 velocity, though it was still very much within the  
22 abnormal range, and no clinical benefit was

1 ascribed to the change in conduction.

2           There are other randomized multicenter  
3 trials, which I won't go into except to say that  
4 they were performed with well defined methodologies  
5 and rigorous controls, and they did not demonstrate  
6 efficacy. And these were in the various conditions  
7 related to chemotherapy listed here.

8           Another condition that was looked at was  
9 cirrhosis of the liver. And liver disease causes a  
10 generalized brain dysfunction known as hepatic  
11 encephalopathy. This results in part from the  
12 inability of the liver to detoxify ammonia in the  
13 body, which is produced by the normal degradation  
14 of dietary proteins.

15           The diagnosis of hepatic encephalopathy is  
16 made by measuring this arterial ammonia and with  
17 supportive evidence from the EEG brainwave test and  
18 psychometric testing, paper and pencil tests of  
19 mental function.

20           Jiang and his colleagues did a systematic  
21 review of therapeutic efficacy in hepatic  
22 encephalopathy of 33 trials. Six of the trials

1 were blinded and randomized, but they had  
2 considerable irregularities in design. These six  
3 trials were all by a single investigator, and it  
4 was apparent on review that the same population was  
5 reported upon in different ways in these trials.  
6 But looking across this, the serum ammonia was  
7 reduced on average about 26 milligrams per  
8 deciliter, but this was not again a clinically  
9 meaningful result.

10 Finally, Alzheimer's disease. We had  
11 benefit of a Cochrane collaboration review. These  
12 are academically oriented systematic meta-analyses  
13 of available data. And Hudson and Tabet looked at  
14 33 randomized placebo-controlled trials, and 16 of  
15 the trials were assessed as appropriately designed.

16 Sixteen of these were multicenter trials,  
17 and some of these were conducted by the NDA holder  
18 for L-carnitine. These authors were able to get  
19 reports, detailed reports, from the NDA holder.

20 The test dose of acetyl-L-carnitine was 2 to  
21 3 grams daily, which is roughly the same dose as  
22 L-carnitine in inherited metabolic disorders.

1 Treatment from 12 to 52 weeks and up to 1400  
2 patients participated in these trials. And all  
3 trials assessed the potential cognitive effect of  
4 ALC on patients with mild to moderate dementia, and  
5 in addition, most considered the severity,  
6 functional ability, clinical global  
7 impression -- in other words, outcomes that we  
8 would consider in fairly rigorous Alzheimer's  
9 disease efficacy trials.

10 The assessment of the Cochrane collaboration  
11 stands by itself, that there was no evidence  
12 suggesting a statistically significant result. And  
13 there was no recommendation for routine use in  
14 clinical practice. Now, they did note that at one  
15 particular time point, these studies did seem to  
16 show -- or at least in particular, one study did  
17 seem to show that there was a benefit, however in  
18 methodology analysis of trials you have to take  
19 into account repeated testing for significance.

20 When you have a p-value of 0.05, it means 1  
21 in 20 times you test, it's going to be a false  
22 positive. So it's not uncommon in multiple

1 testings within a trial to have a particular  
2 significant result that by and large doesn't hold  
3 up across trials.

4 Of interest, one trial in Alzheimer's  
5 disease, the post hoc analysis suggested that early  
6 patients may benefit. A subsequent multicenter  
7 trial was performed in younger onset Alzheimer's  
8 patients and did not reveal any efficacy,  
9 unfortunately.

10 The European Commission also asked the  
11 European Food Safety Authority to review ALC, and  
12 they concluded that there wasn't sufficient  
13 evidence to suggest consumption of L-carnitine and  
14 a contribution to -- excuse me, acetyl-L-carnitine  
15 and contribution to normal cognitive function.

16 The extent of ALC use in pharmacy  
17 compounding is unknown. It's been available since  
18 at least 1964. It's been widely available as a  
19 dietary ingredient in supplements for at least  
20 three decades. By 1983, it was understood as being  
21 a naturally occurring endogenous chemical substance  
22 in people as a result of L-carnitine metabolism,



1 and the original L-carnitine NDA was approved in  
2 1985.

3 With regard to therapies for these  
4 conditions, in peripheral neuropathy, there is no  
5 approved treatment for the prevention of peripheral  
6 neuropathy from chemotherapy or diabetes, but there  
7 are treatments for alleviation of the suffering  
8 from this disorder, including duloxetine,  
9 pregabalin, and tapentadol.

10 Cirrhosis of the liver, there are standard  
11 treatments for hepatic encephalopathy by targeting  
12 reduced protein absorption from food, but also use  
13 of Lactulose and rifaximin for increasing the  
14 elimination of ammonia from the body. And finally,  
15 Alzheimer's disease has donepezil, rivastigmine,  
16 memantine, and galatamine to aid in memory  
17 dysfunction.

18 So in conclusion, the physical and chemical  
19 properties of ACL are well characterized. The  
20 extent of ALC use in pharmacy compounding is not  
21 known. The safety profile suggests it's well  
22 tolerated when given orally up to 3 grams daily,

1 but it must be used in caution with anyone using  
2 anticoagulant drugs, such as warfarin, a person  
3 suffering from seizures, or a person with renal  
4 insufficiency, which is a major route of  
5 elimination.

6 Extensive investigation in large randomized,  
7 blinded, placebo-controlled trials fails to support  
8 its efficacy for any of the proposed uses and the  
9 disorders included in the domination of serious  
10 medical conditions for which safe and effective  
11 treatments are available in the United States.

12 As a result, we do not recommend that  
13 acetyl-L-carnitine be placed on the list of bulk  
14 drug substances that can be used in compounding  
15 under Section 503A of the Federal Food, Drug, and  
16 Cosmetic Act. Thank you.

17 **Clarifying Questions from the Committee**

18 DR. GULUR: Thank you. At this time, we  
19 will accept clarifying questions from the  
20 committee. Dr. Pham?

21 DR. PHAM: I'm not sure if I completely  
22 understood the slide about the carnitine pool, but

1 basically trying to get a sense for what is the  
2 added value of ALC to the current levocarnitine  
3 product that's on market. So is it adding -- is it  
4 working on that other cycle to potentially increase  
5 the carnitine for carnitine deficiency?

6 DR. BERGMANN: I think that's exactly the  
7 question. I don't know what the added benefit  
8 would be over L-carnitine. I tried to demonstrate  
9 that it's a very fluid situation, so if you feed  
10 one, you feed the other. And I think that to what  
11 we know about pharmacokinetics, that would seem to  
12 be so. So I think that's the answer.

13 DR. GULUR: Any other questions?

14 (No response.)

15 DR. GULUR: All right. At this time, we  
16 will now proceed with the nominator presentations.  
17 We have one presentation by Dr. Day from PCCA.

18 **Nominator Presentation - A.J. Day**

19 DR. DAY: Good afternoon. My name is  
20 A.J. Day. I'm with PCCA in Houston, Texas, and  
21 PCCA does provide acetyl-L-carnitine for  
22 compounding pharmacies to utilize for prescription

1       compounding.

2               Before I get started with the specific  
3       discussion on acetyl-L-carnitine, just one point of  
4       clarification that was brought up from a previous  
5       presentation with Ms. Kieffer on D-ribose. There  
6       is a dietary supplement monograph that will be  
7       official August 1st in USP39NF34. So that has  
8       been -

9               MS. DAVIDSON: Food?

10              DR. DAY: Food, yes.

11              MS. DAVIDSON: Not dietary supplement?

12              DR. DAY: Yes, a food monograph. Yes.

13              I would like to thank Dr. Bergmann and the  
14       FDA for a very thorough presentation on acetyl-L-  
15       carnitine. I might be referring to it as ALCAR, as  
16       is commonly done in the industry.

17              Before we get started on the clinical  
18       presentation here and review of the data that's  
19       presented, just out of curiosity on this particular  
20       committee, we're talking about how these substances  
21       get utilized in compounding. And in the committee  
22       discussion prior to lunch, we talked about how it

1 may be promoted for patient utilization for  
2 physicians to write the prescriptions.

3           Have any of you on the committee had an  
4 opportunity to visit a compounding pharmacy? I  
5 know in our June meeting, this is something that  
6 Mr. Nixon had suggested. So as just a matter of  
7 understanding the industry and how these  
8 compounding pharmacies operate, actually going in  
9 and visiting them, interviewing them, finding out  
10 how these prescriptions are coming in, what are  
11 their marketing practices and how do those  
12 prescriptions -- how does awareness of these  
13 prescription opportunities come about, I think that  
14 that process is going to be vital to how we make  
15 decisions, how you make decisions as voting members  
16 of this committee.

17           Now, as the FDA's presentation stated, the  
18 concerns with acetyl-L-carnitine, or ALCAR, in  
19 large part look at the efficacy side. The physical  
20 and chemical characterization is not in question.  
21 The toxicology of it is not in question. There was  
22 one point about the physical stability that came up

1 with regards to its aqueous stability and the  
2 potential for an instability in an aqueous media.

3 There is published literature in a peer  
4 reviewed journal, this is available, you can find  
5 this on PubMed, and it's the International Journal  
6 of Pharmaceutical Compounding, where they look  
7 specifically at acetyl-L-carnitine stability in an  
8 aqueous vehicle, and they found that there was  
9 quite good stability at room temperature or at  
10 refrigeration.

11 In a compounding environment, this  
12 particular formulation, if followed exactly  
13 according to the study, would get about 30 days at  
14 room temperature.

15 Now, in compounding, we're often changing  
16 the concentrations, and there may be variations  
17 from the specific formula, in which case we would  
18 default to the USP guidelines for stability, and  
19 then for beyond use dating of the preparations, and  
20 14 days refrigerated is the standard for aqueous  
21 oral preparation.

22 A big part of the conversation from the

1 previous presentation looked at how is  
2 levocarnitine different from ALCAR. Is there a  
3 difference? Why might we need one versus the other  
4 or have both of them on hand?

5 Now, this is a piece of literature that  
6 specifically looked at that. This is a non-human  
7 study. This is looking at young versus old rats  
8 and the effect of acetyl-L-carnitine on oxidative  
9 stress, ambulatory activity, and the biomarkers of  
10 that oxidative stress in the brains of old rats.

11 What they actually conclude is that ALC was  
12 effective, unlike levocarnitine, in decreasing  
13 oxidative damage, including these biomarkers of  
14 oxidative damage in old rat brains. These data  
15 suggest that acetyl-L-carnitine may be a better  
16 dietary supplement than levocarnitine.

17 Here are some of the data that they found in  
18 that study. You can see on the first column is  
19 young rat brain versus the old rat brain. Then  
20 when you look at the addition of acetyl-L-carnitine  
21 versus simply levocarnitine, you can see the  
22 statistically significant difference in markers of

1 oxidative stress; again, further data with some of  
2 the other markers of oxidative stress in the rat  
3 brain showing a marked difference when utilizing  
4 acetyl-L-carnitine versus simply using  
5 levocarnitine. There is a clinical difference that  
6 this study is showing.

7           So the study does go on to conclude that  
8 acetyl-L-carnitine and levocarnitine increase  
9 ambulatory activity similarly in old rats and  
10 elevated carnitine levels in old rat blood and  
11 brain, so this speaks to that balance between the  
12 two. However, acetyl-L-carnitine did decrease MDA,  
13 nitrotyrosine and oxo8dG/oxo8G in the old rats'  
14 brain. And this data suggests that ALC is a more  
15 effective dietary supplement than levocarnitine.

16           Now, if we look back to the FDA's briefing  
17 document on acetyl-L-carnitine, they talk about the  
18 DeGrandis publication, the double-blind,  
19 randomized, placebo-controlled pharmaceutical  
20 sponsored trial. And the authors themselves put  
21 this finding of the increase in nerve conduction  
22 velocity as it being relatively small. And as was



1 mentioned earlier, that it did not, in itself, have  
2 relevance, this nerve conduction velocity change.

3           What the actual study concludes, though, is  
4 that after 12 months of treatment -- because  
5 they're not overall looking at patients and nerve  
6 conduction velocity as a measure of treatment  
7 outcomes. You're obviously looking to see that the  
8 treatment is effective for treating the pain. And  
9 after 12 months of treatment, mean visual analog  
10 scores for pain were significantly reduced from  
11 baseline by 39 percent in acetyl-L-carnitine  
12 treated patients versus 8 percent in placebo  
13 patients.

14           ALC was well tolerated over the study  
15 period. It was effective and well tolerated in  
16 improving neurophysiological parameters and in  
17 reducing pain over a 1-year period.

18 Acetyl-L-carnitine is therefore a promising  
19 treatment option in patients with diabetic  
20 neuropathy.

21           This is the quote, this is the screenshot  
22 from that trial. The trial is not about nerve

1 conduction velocity, and here is that data that  
2 they present, again, reaching statistical and  
3 clinical significance.

4 In addition, we have a recommendation from  
5 the Australia and New Zealand College of  
6 Anesthetists and Faculty of Pain Medicine. This is  
7 their review of scientific evidence and their  
8 recommendation for acute pain in patients with HIV  
9 infection. And they do indicate that for the  
10 medication induced neuropathic pain in HIV and AIDS  
11 patients, it is treatable with acetyl-L-carnitine.  
12 This is their official treatment algorithm and  
13 recommendation.

14 The study that they utilized to come to this  
15 conclusion was analyzed by the FDA, and the actual  
16 treatment professionals, the specialists in the  
17 field have determined that it is not a trial that  
18 should be dismissed, but it does provide a baseline  
19 for how we can approach our patients in a more  
20 compassionate manner.

21 In addition, the FDA's briefing information  
22 talks about there's no evidence from

1 methodologically sound clinical studies showing the  
2 efficacy of acetyl-L-carnitine for the treatment of  
3 disease. And an extensive investigation of acetyl-  
4 L-carnitine in large randomized, blinded, and  
5 placebo-controlled trials, it also supports  
6 efficacy for any of the proposed uses and that  
7 there are multiple safe and effective treatments  
8 available for those uses.

9           Now, this study by Sima and colleagues is a  
10 review of two randomized, blinded, placebo-  
11 controlled trials. Right here, we have the  
12 abstract, but I'm going to go into some of the  
13 details in the next few slides.

14           They have 1,257 patients, intention-to-  
15 treat. It was an analysis of two randomized,  
16 double-blind, placebo-controlled trials. Each  
17 trial was 52 weeks, 1 year in length. Both of the  
18 trials were multicenter. One of them used 28 U.S.  
19 and Canadian centers -- this was the UCS arm -- and  
20 the other trial had 34 centers throughout the  
21 United States, Canada, and Europe. This is the  
22 UCES arm.

1           They actually enrolled a total of 1,346  
2 patients. Now, their inclusion criteria included  
3 men and non-pregnant women between the ages of 18  
4 and 70 years old, with diabetes for 1 year and an  
5 HbA1c of 5.9 percent.

6           The differences between the UCS, the United  
7 States and the Canadian data, was very small,  
8 however there was a difference between the UCS and  
9 UCES data, and that's mainly due to the European  
10 patient cohort. This is according to the authors.

11           So we're going to focus on the UCS data  
12 because, frankly, we're in the United States, and  
13 this is an FDA meeting, so that's what we're  
14 concerned about. So the UCS data does show  
15 statistical significance using the higher dose of  
16 acetyl-L-carnitine over a period of months, both at  
17 the 26-week change and the 52-week change.

18           The most common emergent adverse events were  
19 pain, paresthesia, and hyperesthesia. And in  
20 total, in the total population, pain, paresthesia,  
21 and hyperesthesia were reported by significantly  
22 fewer patients taking the 1,000 milligrams

1 acetyl-L-carnitine compared with placebo. So your  
2 adverse events were lower in the patients that were  
3 on the treatment versus placebo. The incidence of  
4 other adverse events did not differ between placebo  
5 and patients on an active drug.

6 Now, as I analyzed this data, I looked back,  
7 and this trial is listed in the bibliography  
8 section of FDA's analysis from the briefing  
9 information, so I needed to kind of analyze it a  
10 little bit more thoroughly to understand why it was  
11 not included in their document.

12 I don't pretend to have that answer for you.  
13 What I can tell you is that I did not identify any  
14 particular shortcomings with this data to justify  
15 it not being discussed by the FDA. So hopefully,  
16 we can find out a little bit more in just a little  
17 while.

18 So this article is published in a high  
19 impact factor journal. Diabetes Care has an impact  
20 factor rating of 8.57, which means that it meets  
21 high standards for robust methodology and  
22 instrumentation. The design of this trial is

1 sound. The pertinent patient population, the lack  
2 of bias, all of those factors have been considered.

3 The overall conclusion is supported by the  
4 data produced. There was some extrapolation of the  
5 results with regard to improvements in vibratory  
6 perception and nerve regeneration, yet, there is no  
7 extrapolation or interpretation for the clinical  
8 outcomes or the adverse events reported. So while  
9 the conclusions of the trial do state that longer  
10 trials need to be conducted, two studies of 52  
11 weeks each is very good evidence.

12 This next study, Campone from 2013, assessed  
13 patients with chemo-induced peripheral neuropathy.  
14 Patients with ovarian cancer in this study had less  
15 incidence of grade 3 and 4 peripheral neuropathy.  
16 So while the overall incidence of drug-induced  
17 neuropathy was not reduced for all patients, it was  
18 less severe for the ovarian cancer patients. You  
19 had fewer incidence of those higher grade  
20 neuropathies.

21 Similarly, we have a study the following  
22 year, 2014, by Callander and colleagues. They

1 assessed 32 patients with chemo-induced peripheral  
2 neuropathy. Again, the acetyl-L-carnitine patients  
3 had less grade 3 or 4 neuropathy and those patients  
4 lived longer.

5           There has been some discussion on risk of  
6 clotting factors and monitoring INR. These  
7 patients did not have any different incidence or  
8 measurements of hemoglobin or platelets. So this  
9 is a table specifically looking at frequencies and  
10 percentages of treatment associated toxicities.

11           In their discussion, they do talk about the  
12 response time. So the median duration of response  
13 was 3 months in the control group versus 10 months  
14 in the acetyl-L-carnitine treatment group. And the  
15 survival was 22 months in the control group versus  
16 28.3 months in the acetyl-L-carnitine treatment  
17 group.

18           They also talk about the attempt to mitigate  
19 the incidence and severity of peripheral neuropathy  
20 through the use of prophylactic acetyl-L-carnitine.  
21 That is the goal. They weren't trying to  
22 eliminate, but rather to mitigate the severity.

1 Our studies suggest that the addition of  
2 acetyl-L-carnitine did not eliminate treatment  
3 related peripheral neuropathy, although there  
4 appeared to be fewer cases of grade 3 or 4  
5 neuropathy among patients receiving the prophylaxis  
6 as reported by the treating physicians.

7           Given the observed continued high responses  
8 to the treatment combination, it is clear that the  
9 inclusion of this agent in the treatment regimen  
10 did not diminish the response rate of the cancer  
11 therapy, and that acetyl-L-carnitine was very well  
12 tolerated.

13           Now, this trial utilizes a very specific  
14 chemotherapy regimen, so in a later paragraph of  
15 their conclusion they say it is also conceivable  
16 that the incorporation of acetyl-L-carnitine and  
17 bortezomib containing regimens earlier in the  
18 treatment course might offer a protective advantage  
19 against the development of peripheral neuropathy.

20           This next study looks at HIV associated  
21 antiretroviral toxic neuropathy. So still  
22 neuropathy, however instead of chemo induced, we're



1 talking about HIV associated drug induced. And  
2 this is getting back to something that we referred  
3 to in one of the earlier trials, so the Hart study,  
4 and I'll talk a little bit about that in just a  
5 moment as well.

6           What they do talk about is that although not  
7 formally documented, those who stopped  
8 acetyl-L-carnitine treatment suffered rapid symptom  
9 worsening, including the return of dysesthesia.  
10 Acetyl-L-carnitine treatment was well tolerated  
11 with no side effects, no adverse events, or wound  
12 complications.

13           So again, the Hart study was cited by FDA in  
14 their bibliography. It was not mentioned in the  
15 briefing information. So I wanted to do that  
16 thorough analysis again to understand what are the  
17 potential downsides of this literature, that it was  
18 not included.

19           Again, it's published in a journal with a  
20 high impact factor, which is quite reputable. The  
21 length of the study was 33 months, almost 3 years,  
22 which appears sufficient to determine the long-term

1 effects of acetyl-L-carnitine, both positive and  
2 negative effects.

3 Controls were used and results were compared  
4 to baseline and control. Researchers took measures  
5 to reduce variabilities in results when processing  
6 the biopsies. And though clinical scores were used  
7 to evaluate improvements, quantification of the  
8 components of neurofibers were also conducted.

9 It was a relatively small trial. There were  
10 only 19 participants in the end, started off with  
11 21. Three patients changed antiretroviral therapy,  
12 their medication changed during the course of the  
13 study, which might have affected some of the study  
14 results.

15 So although there were some weaknesses of  
16 the study, we do not feel that those were strong  
17 enough to exclude the data showing the benefits,  
18 and again, looking back to the Australian  
19 guidelines of HIV medication induced neuropathy,  
20 the treatment benefits for acetyl-L-carnitine from  
21 baseline.

22 In the nominating information that was

1 submitted to FDA for acetyl-L-carnitine, there were  
2 other indications. There were quite a few in fact,  
3 and I understand that it's difficult to go through  
4 all of those. However, the ones selected by the  
5 FDA to present were not fully inclusionary of  
6 everything that was nominated.

7           So here we have some data on how it's  
8 utilized in compounding for fertility, male  
9 fertility specifically. This study from 2005 was a  
10 placebo-controlled, double-blind, randomized trial  
11 on the use of levocarnitine, acetyl-L-carnitine, or  
12 the combination of those in men with idiopathic  
13 asthenozoospermia.

14           Now, the result section does talk about  
15 sperm cell motility. It's looking at overall  
16 motility as well as forward motility, specifically  
17 the ability of the sperm to move forward in the  
18 correct direction.

19           Here you can see the placebo at the bottom  
20 of the chart, not having much variance from  
21 baseline, your treatment group with levocarnitine  
22 having modest increase, acetyl-L-carnitine having

1 greater increase in forward sperm motility, and the  
2 combination of levocarnitine and acetyl-L-carnitine  
3 having the greatest increase. The conclusion is  
4 actually that the supplementation with a specific  
5 ratio of that combination is very important to  
6 forward motility of sperm.

7 Here we have total sperm motility at each  
8 time, and group 1 are patients treated with  
9 acetyl-L-carnitine alone or combined with  
10 levocarnitine, whereas group 2 is patients treated  
11 with just levocarnitine or placebo. And you can  
12 see the significant difference that this makes in  
13 sperm motility. The implications for male  
14 fertility are dramatic.

15 Specifically forward sperm motility, again  
16 looking at group 1, the treatment of  
17 acetyl-L-carnitine alone or combined with  
18 levocarnitine versus group 2, just levocarnitine or  
19 placebo. This is further evidence that the two  
20 substances are not simply interchangeable. There  
21 is a difference in clinical outcomes and in  
22 patients when using acetyl-L-carnitine versus

1 levocarnitine.

2 Another indication that was nominated with  
3 acetyl-L-carnitine is ALS. This trial is  
4 conveniently titled, Randomized, Double-Blind,  
5 Placebo-Controlled Trial of Acetyl-L-carnitine for  
6 ALS. It was published in 2013. This is a phase 2  
7 clinical trial showing that median survival was  
8 45 months in ALC versus 22 months in placebo. They  
9 do conclude that ALC may be effective, well  
10 tolerated, and safe in ALS. A pivotal phase 3  
11 trials is needed.

12 This was a non-for-profit, multicenter,  
13 randomized, placebo controlled, parallel-arm, pilot  
14 phase 2 trial. They talk about the specific dosing  
15 regimen that they initiated patients with. They  
16 talked about how they dosed the packets.  
17 Symptomatic and palliative treatments were given  
18 during the study and were permitted and recorded.

19 There was some discussion about how  
20 difficult it is to blind some of these studies due  
21 to a unique body odor, amongst other things, that a  
22 lot of patients with the medication receive.

1       However, if you review that study, that is specific  
2       data to levocarnitine.  None of that is mentioned  
3       in any of the data for acetyl-L-carnitine, any of  
4       the trials.

5               So all adverse events encountered and any  
6       serious events were to be recorded using the coding  
7       system for the source of adverse reaction terms.  
8       Severity was graded according to the modified WHO  
9       criteria for toxicity where applicable.  You can  
10      see the various parameters for the demographic and  
11      clinical characteristics.

12             All of this is showing that there is a  
13      trend, that there is consistent data showing that  
14      acetyl-L-carnitine and levocarnitine are not one in  
15      the same, and they don't simply just feed into the  
16      same metabolic pathway, but they do provide  
17      distinct clinical benefits.

18             So the FDA's conclusion is that the  
19      disorders that we've nominated acetyl-L-carnitine  
20      for are serious medical conditions for which safe  
21      and effective treatments are available in the  
22      United States.

1           As we all know, as treating clinicians, the  
2 medications that are available are important.  
3 Having a product that has gone through the vigorous  
4 and rigorous FDA approval process is important.  
5 Those are the standards of therapy.

6           However, in many of our patients, those  
7 therapies underperform. They do not provide the  
8 relief our patients need and deserve. And it  
9 doesn't take a journal article from the Journal of  
10 Pharmacoeconomics to tell us that we need better  
11 options, and we need adjunctive therapy options.

12           Many of these patients are already burdened  
13 with high pill burden, so adding on extra  
14 supplements that you're saying now just go get it  
15 from an unknown source at a vitamin store versus a  
16 pharmaceutical grade supplement that can be  
17 combined with their standard of regimen, so that  
18 they're not adding additional medications and  
19 having to remember additional pills, these are  
20 important aspects for quality of life and for  
21 patient compliance to their regimens. Thank you  
22 very much.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Clarifying Questions from the Committee**

DR. GULUR: Thank you. We will now accept clarifying questions from the committee. Dr. Pham?

DR. PHAM: So when you've seen the prescriptions for acetyl-L-carnitine come through, has there been an attempt -- has the provider tried to use the L-carnitine products and seen failure, or is this been -- like is this the drug of choice before they even go to that product?

DR. DAY: The requests for acetyl-L-carnitine have been traditionally for very specific conditions. Acetyl-L-carnitine is not a first-line therapy for many patients. Typically, when we see it in a pediatric population, it might be for a mitochondrial disorder for a patient who has difficulty acetylating and converting. Because again, the acetylated form of levocarnitine is what contributes most into that metabolic pathway. So if there's a diagnoses that establishes the need for the acetylated form to be supplemented, then that's what they go to first and foremost.

Beyond that, for neuropathic conditions,



1 oftentimes yes, they will go for levocarnitine,  
2 identify if the patient has seen any benefit, or if  
3 not then they will go for other therapies.

4 The treatment algorithm for neuropathic  
5 pain, because there's so many different causes for  
6 it, is very complex. And it's up to each specific  
7 patient with their concomitant disease states and  
8 other medications as well.

9 With regards to fertility, male fertility,  
10 the information that I've seen has typically  
11 focused on the specific ratio of levocarnitine  
12 combined with acetyl-L-carnitine so that patients  
13 get the best benefit.

14 DR. PHAM: Is there any -- for the -- at  
15 least for pediatric patients and the mitochondrial  
16 disorders, I think that [indiscernible] is also  
17 used for that. Is there any difference in  
18 palatability? I know that you can obviously make  
19 things palatable by compounding, but is there any  
20 complaints of palatability of the marketed product  
21 that you're aware of?

22 DR. DAY: None that I'm aware of. There are

1 several specialty compounding pharmacies who focus  
2 on pediatrics who focus on mitochondrial and  
3 cellular metabolic disorders who have -- who,  
4 again, they specialize in this, and they are very  
5 successful at treating their patients. And I've  
6 not heard of any complaints or an inability for the  
7 patient to tolerate the medication.

8 DR. GULUR: Dr. Carome?

9 DR. CAROME: So one has to be cautious when  
10 you cherrypick data from various studies. The Sima  
11 study which you showed, could you go back to the  
12 table where you showed the pain results from the  
13 Sima study?

14 So it's important to recognize the Ns for  
15 the patients -- for the subjects in this trial. So  
16 the trials combined involved 1346 subjects, and you  
17 see that this is just a small subset of the  
18 subjects who were enrolled, where we're looking at  
19 the pain scores. And it's, I believe, about 25,  
20 27 percent of the total subject population.

21 All they're looking at here is that subset  
22 of patients where pain was the most bothersome

1 symptom that they reported, which I believe implies  
2 that there are other patients or subjects who had  
3 pain in this study that aren't being reported,  
4 which suggests that in order to show some  
5 statistically significant result on the pain scale,  
6 they polled out a population that supported what  
7 they were trying to show. And I think that's very  
8 important when analyzing studies carefully to  
9 consider details like this.

10 I'm curious if the FDA has a view about this  
11 pain data and whether it's meaningful in assessing  
12 the drug.

13 DR. BERGMANN: I think what you say is a  
14 very good point. In general, in these reviews, we  
15 have tried to look at the highest level of data  
16 that's available, and then we look to see is it  
17 credible in supporting its findings. And we look  
18 for things like multiplicity, selective reporting  
19 of the population, blinding, adverse events,  
20 especially adverse events because the absence of  
21 proof is not proof of absence. Rare events happen  
22 rarely, and so a small trial wouldn't come up with

1       them.

2               I think the blinding remains an issue. I  
3 think body odor has been reported in ALC trials.  
4 Before FDA, I was a clinical trialist for 30 years.  
5 And if you have -- tell that a patient's on an  
6 active substance, it changes things. And I think  
7 that that's something that you have to take into  
8 account with all of these trials.

9               I think it's also important to be careful  
10 and mindful of the jump from a finding to clinical  
11 significance. And in all drugs that are approved,  
12 clinical significance, the meaningfulness of a  
13 result is taken into account.

14              That's especially true of pain. Pain is  
15 terrible. And if you have a person who has pain  
16 and you reduce it 40 percent by a scale, and you  
17 ask that person, is this important, it may not be  
18 because 40 percent less of a terrible pain is still  
19 a terrible pain. So that's an important part of  
20 the equation of effectiveness.

21              Then, with regard to indications, or not  
22 indications but uses that we didn't look at -- we

1 have backup slides if people want to see -- there's  
2 a whole list of things that were asked of us to  
3 review but could not be assessed because there is  
4 either no meaningful data or it was never used in  
5 humans, or they were for uses that really didn't  
6 indicate a disease. And I would point out the  
7 antioxidant feature of many compounds.

8           It's very difficult to -- it's very easy to  
9 show in an animal that something might have an  
10 antioxidant effect, but to translate that to human  
11 efficacy, well, there have been very large trials  
12 looking at very potent mitochondrial antioxidants  
13 that did not bear fruit.

14           So it's one thing to see a biochemical  
15 finding in an animal and to see an actual  
16 physiologic benefit in humans. So these were all  
17 some of the considerations that we had in looking  
18 through the documentary support of the nominations.

19           DR. GULUR: Thank you. Dr. Cohen on the  
20 phone has a question.

21           DR. COHEN: Thank you. Can you hear me?

22           DR. GULUR: Yes.

1 DR. COHEN: Okay, good.

2 Dr. Day, thanks so much for the  
3 presentation. A quick question. As you were  
4 concerned that Dr. Bergmann didn't address all of  
5 the conditions, discuss why he didn't discuss  
6 Alzheimer's. And I guess the follow-up question to  
7 that is, as far as compounding, what's the most  
8 common indication of ALC? Thank you.

9 DR. DAY: Thank you. Very good questions.  
10 So the utilization in compounding does not really  
11 reach much into Alzheimer's. I  
12 haven't -- personally from the prescriptions I've  
13 dealt with, as well as the compounding pharmacists  
14 that I've networked with and asked as preparation  
15 for this meeting, we are not using it much in  
16 Alzheimer's, if at all. In fact, nobody -- let me  
17 rephrase that to say at all. That's why I did not  
18 address it.

19 So throughout this process, throughout these  
20 meetings, we will see a lot of instances where the  
21 FDA's presentation addresses several different  
22 indications and utilizations, potential

1       utilizations, that are not necessarily utilized  
2       commonly in compounding. And I think in our  
3       October meeting, we discussed this as well.

4               So some of these indications were put forth  
5       in the nomination process back in the months  
6       following the 2013 signing of the law when we were  
7       asked to nominate the substances, how might it be  
8       used.

9               So we put together all sorts of information  
10       from literature that was available, clinical trials  
11       that had been done previously or were currently  
12       underway, or had been proposed as potential uses.  
13       We did not really have a good roadmap for this  
14       process, and what the expectations are by way of  
15       levels of evidence, and what the process looks  
16       like.

17               So there are a number of potential uses that  
18       a substance was nominated for that I don't have  
19       clinical data, and that the compounding community  
20       does not have clinical data to provide to support  
21       those potential uses. If it would be of benefit to  
22       the committee and to FDA staff in reviewing the

1 materials, we'd happily have a debrief with FDA  
2 prior to the materials being reviewed by their  
3 internal divisions so that we can help them narrow  
4 it down.

5 That may not be possible because it may be a  
6 formality that since it was submitted for certain  
7 uses that it has to be reviewed for those uses. I  
8 don't know. But it's something that we are  
9 definitely open to discussing.

10 Specific to the second part of your question  
11 on acetyl-L-carnitine utilization in compounding, I  
12 think it goes back to what Dr. Pham asked with the  
13 first question. We do primarily see it for  
14 drug-induced neuropathies, whether it's chemo, HIV,  
15 or even diabetic neuropathy, which is not  
16 necessarily drug induced.

17 We've seen it more recently utilized a  
18 little bit for male fertility, but the data is very  
19 promising, and the patient outcomes seem quite  
20 good. And then there is a small cohort that is  
21 utilizing it for pediatric mitochondrial and  
22 metabolic disorders.



1 DR. GULUR: Any further questions?

2 (No response.)

3 **Committee Discussion and Vote**

4 DR. GULUR: Thank you, Dr. Day.

5 Since the agency did not receive registrants  
6 for the open public hearing session, we will move  
7 on to the committee discussion and voting. So we  
8 will now begin the panel discussion portion of the  
9 meeting. We will start with aloe vera.

10 Dr. Pham?

11 DR. PHAM: Just to recap because the  
12 sequence of the FDA presentation then the nominator  
13 presentation, it seemed like some of the questions  
14 from the FDA came back to how is it defined. So  
15 after hearing the definition of the aloe vera,  
16 200 to 1, is there still that valid concern from  
17 the FDA side?

18 I'm trying to get a feel for the risks that  
19 are still now present with that definition from the  
20 nominator.

21 DR. TAYLOR: Hi. I'm Cassie Taylor. I'm on  
22 the botanical review team. We appreciate that the

1 nominator did explain that it was the gel that they  
2 were using, but it's still a concern for us because  
3 it's not well characterized. Even if it is just  
4 the gel as we looked at before the USP monograph  
5 only talks about the aloin, which is the  
6 anthraquinone, which is not sufficient from a  
7 quality perspective.

8 DR. GULUR: Any further questions?

9 (No response.)

10 DR. GULUR: Moving on to D-ribose.

11 (No response.)

12 DR. GULUR: If there are no further  
13 questions on that, we'll go on to chondroitin.

14 (No response.)

15 DR. GULUR: Any questions for acetyl-L-  
16 carnitine? We have a question from Dr. Cohen on  
17 the phone.

18 DR. COHEN: Yes, it's not so much a question  
19 as much as a comment. You know, I've seen a lot of  
20 medications used for diabetic neuropathy as well as  
21 ALS. This is what I do as well as chemotherapy  
22 induced peripheral neuropathy. And there's a lot

1 of initially promising results with compounds. A  
2 lot of literature gets published, but the follow-up  
3 on it unfortunately for me, and particularly for my  
4 patients, is disappointing.

5 In reviewing the papers that were listed in  
6 the bibliography, the nerve conduction studies I  
7 think we can forget about. The changes are within,  
8 I feel, a margin of error.

9 Visual analog scale is something that a lot  
10 of times ends up being positive initially and not  
11 subsequently. In the study of the use of ALC in  
12 ALS, I know the investigators and I know the study.  
13 And as much as we were initially excited about it,  
14 unfortunately, that really hasn't panned out.

15 So I understand the enthusiasm of Dr. Day  
16 and the hope that it would be something that would  
17 really change clinical course. I mean, I feel the  
18 same way. But unfortunately, a lot of these are  
19 just [indiscernible], I think, as Dr. Bergmann  
20 said. So thanks.

21 DR. GULUR: Thank you, Dr. Cohen.

22 If there are no further questions, we will

1 now end our discussions and start the vote.

2 (No response.)

3 DR. GULUR: The panel will be using an  
4 electronic voting system for this meeting. Each  
5 voting member has three voting buttons on your  
6 microphone, yes, no, and abstain. Please vote by  
7 pressing your selection firmly 3 times. After  
8 everyone has voted, the vote will be complete.

9 Voting will be on the four drug products  
10 just presented. All vote questions relate to  
11 whether these products should be included on the  
12 503A bulk list. After the completion of each vote,  
13 we will read the vote from the screen into the  
14 record, and then hear individual comments from each  
15 member.

16 If there is no further discussion, we will  
17 now begin the voting process. Please press the  
18 button firmly on your microphone that corresponds  
19 to your vote. You will have approximately  
20 15 seconds to vote. After you have made your  
21 selection, the light will continue to flash. If  
22 you are unsure of your vote, please press the

1 corresponding button again.

2 Starting with the first question, vote yes,  
3 no, or abstain for this question. FDA is proposing  
4 that aloe vera freeze dried 200 to 1 not be placed  
5 on the 503A bulk list. Should aloe vera freeze  
6 dried 200 to 1 be placed on the list?

7 So just for clarification, if you vote yes,  
8 you are recommending placing these drugs products  
9 on the difficult -- allow me to read you the right  
10 one.

11 To repeat that. If you vote no, you are  
12 recommending FDA not place the bulk drug substance  
13 on a 503A bulk list. If the substance is not on  
14 the list when the final vote is promulgated,  
15 compounders may not use the drug for compounding  
16 under Section 503A unless it becomes a subject of  
17 an applicable USP or NF monograph, or a component  
18 of an FDA approved drug.

19 Do the committee members have any questions  
20 on how to answer?

21 (No response.)

22 DR. GULUR: Thank you. So the vote again,

1 FDA is proposing that aloe vera freeze dried  
2 200 to 1 not be placed on the 503A bulk list.  
3 Should aloe vera freeze dried 200 to 1 be placed on  
4 the list?

5 (Vote taken.)

6 DR. GULUR: We're just waiting for  
7 Dr. Cohen's vote to come in by email. Having been  
8 on the other side, I can assure you it takes time,  
9 so thank you all for your patience.

10 DR. HONG: So question 1 for aloe vera. It  
11 is 1 yes, 9 noes, zero abstain.

12 DR. GULUR: We will start with the member  
13 comments. We'll start with the voting members.

14 Dr. Wall, would you like to start?

15 DR. WALL: I voted yes. I thought that  
16 there is a use for it, and I thought that the risk  
17 or the safety -- the risk was very minimal.

18 DR. CAROME: Mike Carome. I voted no  
19 because of a lack of characterization of what is a  
20 complex mixture of compounds. There's some animal  
21 carcinogenicity data, and there's really a lack of  
22 data in humans on pharmacokinetic safety and

1 efficacy and quality.

2 DR. GULUR: Thank you.

3 DR. VAIDA: Allen Vaida. I voted no because  
4 it would be hard to ensure the quality and  
5 consistency of the product.

6 DR. PHAM: Katherine Pham. I also voted no  
7 based on not really seeing enough data or  
8 significant benefit to balance the hesitations we  
9 have on its allein content and the quality issues.

10 MS. JUNGMAN: Elizabeth Jungman. I voted no  
11 for similar reasons. I wasn't convinced that there  
12 was a clinical need that the product fills that  
13 make it worth the uncertainties that are created by  
14 the poor characterization and the lack of evidence  
15 specific to wound care.

16 DR. DIGIOVANNA: John DiGiovanna. I voted  
17 no because of the lack of characterization.

18 However, it is a little unusual to have such a  
19 widely used drug orally, and a drug that quite  
20 frankly is thought by so many people to be useful  
21 for these indications.

22 So I wonder if there could be a better

1        characterization or a better understanding of  
2        exactly what the compound is, if perhaps this might  
3        be reconsidered at some point with a specific  
4        characterization.

5                MR. HUMPHREY: William Humphrey. I voted no  
6        for the lack of characterization of the product as  
7        well.

8                DR. HOAG: Steve Hoag. I voted no for the  
9        lack of characterization. And also there are  
10       products available on the market.

11               MS. DAVIDSON: Gigi Davidson. I also voted  
12       no because of the lack of characterization. And I  
13       wasn't really clear on the role of the aloin and  
14       the lack of anthraquinone activity in the  
15       freeze-dried product. I wasn't clear on that.

16               DR. GULUR: Padma Gulur. I voted not to put  
17       it on the list for similar reasons that have been  
18       stated, lack of characterization, available  
19       alternatives, and unclear clinical benefit.

20               So we will move on with that to the next  
21       vote. Vote yes, no, or abstain for this question.  
22       FDA is proposing that D-ribose not be placed on the



1 503A bulk list. Should D-ribose be placed on the  
2 list?

3 (Vote taken.)

4 DR. HONG: Okay. For D-ribose, we have 1  
5 yes, and 10 noes, and zero abstain.

6 DR. GULUR: Thank you. We'll follow a  
7 similar pattern. If the voting members could  
8 please, starting with Dr. Wall, give us their  
9 comments.

10 DR. WALL: I voted no because even though my  
11 heart went out to the chronic fatigue folks, I just  
12 didn't feel like the data was there.

13 DR. CAROME: I voted no primarily because of  
14 the lack of any good efficacy data for the two  
15 proposed uses.

16 DR. VAIDA: I voted no because can't control  
17 the indication and there really wasn't really good  
18 data for the heart disease.

19 DR. PHAM: I also voted no. I do appreciate  
20 the recommendation for chronic fatigue, but  
21 ultimately went with the recommendation from the  
22 Office of New Drugs and the fact that it would be

1 still available through another way to purchase.

2 MS. JUNGMAN: Elizabeth Jungman. I also  
3 voted no. While concerned about the unmet medical  
4 need, I felt like the balance of factors weighed  
5 against it.

6 DR. DIGIOVANNA: John DiGiovanna. I voted  
7 no because I agreed with the FDA assessment.

8 MR. HUMPHREY: William Humphrey. I voted no  
9 for similar reasons already stated.

10 DR. HOAG: Steve Hoag. I voted no for  
11 reasons already stated, and I was concerned about  
12 the efficacy.

13 MS. DAVIDSON: Gigi Davidson, and I voted  
14 yes because of the chronic fatigue patients. I  
15 felt like it couldn't hurt. And I also am  
16 concerned about the quality of the products that  
17 are on the market. There seems to be quite a  
18 variable quality on what's available.

19 DR. GULUR: Padma Gulur. I voted no for  
20 reasons already stated with regards to the  
21 efficacy. The chronic fatigue indication did show  
22 there was a recommendation to add it, however, I

1 felt the data was not compelling enough.

2 DR. BUCKLEY: Lenore Buckley. I think  
3 there's a tremendous unmet need, but I thought that  
4 the data essentially was totally inadequate.

5 DR. GULUR: Moving on to our third question,  
6 please vote yes, no, or abstain. FDA is proposing  
7 that chondroitin sulfate not be placed on the 503A  
8 bulk list. Should chondroitin sulfate be placed on  
9 the list?

10 DR. HOAG: A point of question. Did the  
11 previous say for topical or is that --

12 DR. GULUR: This says just for any  
13 indication. Am I correct? The question currently  
14 is asking if chondroitin sulfate should be placed  
15 on the 503A bulk list or not, not a particular  
16 indication. Correct?

17 (Commander Muniz nods affirmatively.)

18 DR. GULUR: So to reaffirm -- yes?

19 DR. HOAG: Well, the documents are nominated  
20 for topical use, so we're changing this to general?

21 DR. GULUR: I believe they covered other  
22 uses, but I'll allow the FDA to comment.

1           MR. FLAHIVE: This is Jim Flahive. Since it  
2 was looked at for topical, then we should vote on  
3 it for topical. Yes, we will change the question  
4 to topical.

5           DR. GULUR: All right, so we will change the  
6 question. Do you want to do that?

7           FDA is proposing that chondroitin sulfate  
8 not be placed on the 503A bulk list for topical  
9 use. Should chondroitin sulfate be placed on the  
10 list for topical use?

11           (Vote taken.)

12           DR. HONG: For chondroitin, we have zero  
13 yeses, 10 noes, and zero abstain.

14           DR. GULUR: Thank you. Starting again with  
15 Dr. Wall, if we could have your comments.

16           DR. WALL: I voted no for the reasons as  
17 mentioned by the FDA.

18           DR. VAIDA: I voted no because of I didn't  
19 think there was enough data to show it works and  
20 good data on the strengths.

21           DR. PHAM: Katherine Pham. I also voted no  
22 for similar reasons and also for the existence of

1 other safer and effective alternatives.

2 MS. JUNGMAN: Elizabeth Jungman. I voted no  
3 because there are other alternatives available with  
4 more demonstrated effectiveness.

5 DR. DIGIOVANNA: John DiGiovanna. I voted  
6 no because I agreed with the FDA assessment.

7 MR. HUMPHREY: William Humphrey. I voted no  
8 because of the lack of clinical evidence as a  
9 topical dosage form.

10 DR. HOAG: Steve Hoag. For all those  
11 reasons, I voted no. And also, I think every  
12 textbook on transdermal absorption would have to be  
13 rewritten if polymers that big would be actually  
14 absorbed.

15 MS. DAVIDSON: Gigi Davidson. I voted no  
16 for all the reasons stated.

17 DR. GULUR: Padma Gulur. I voted no for, as  
18 Dr. Hoag pointed out, clearly it's unlikely to be  
19 absorbed.

20 DR. BUCKLEY: Lenore Buckley. I voted no  
21 for lack of efficacy data.

22 DR. HONG: Dr. Vaida, could you just state

1 your name and vote for the record one more time? I  
2 don't think you stated your name in the beginning.

3 DR. GULUR: Dr. Vaida? If you could please  
4 state your name for the record.

5 DR. VAIDA: Pardon me?

6 DR. GULUR: They would you like you to state  
7 your name for the record and your vote.

8 DR. VAIDA: Allen Vaida, I voted no.

9 DR. GULUR: Thank you.

10 Moving on to our last question. Vote yes,  
11 no, or abstain for this question. FDA is proposing  
12 that acetyl-L-carnitine not be placed on the 503A  
13 bulk list. Should acetyl-L-carnitine be placed on  
14 the list?

15 (Vote taken.)

16 DR. HONG: For question 4 for  
17 acetyl-L-carnitine, we have 1 yes, 10 noes, and  
18 zero abstain.

19 DR. GULUR: We will start with the member  
20 comments again with Dr. Wall.

21 DR. WALL: Donna Wall. Although there's a  
22 lot of interesting things with the

1 acetyl-L-carnitine, until I know more answers with  
2 renal elimination and this problem with seizures, I  
3 don't feel I can yes.

4 DR. CAROME: Mike Carome. I voted no, again  
5 because of concerns of a lack of good evidence of  
6 effectiveness.

7 DR. VAIDA: Allen Vaida. I voted no because  
8 of lack of evidence for effectiveness, and also the  
9 various dosage forms, dosage routes.

10 DR. PHAM: Katherine Pham. I voted no  
11 because it has the same toxicities and drug  
12 interactions as the L-carnitine that's currently  
13 marketed. So although I appreciate the expanded  
14 uses, perhaps it's something that the currently  
15 marketed product could also investigate  
16 scientifically for labeled use.

17 MS. JUNGMAN: Elizabeth Jungman. I voted no  
18 because I wasn't persuaded of the clinical need or  
19 effectiveness.

20 DR. DIGIOVANNA: John DiGiovanna. I voted  
21 yes because I was persuaded by the difficulties  
22 with chronic pain for peripheral neuropathy and in

1 particular HIV disease, where standard measures  
2 tend to be inadequate occasionally. And I think  
3 this is a potentially useful for some patients.

4 MR. HUMPHREY: William Humphrey. I voted no  
5 because I wasn't convinced by the clinical  
6 efficacy.

7 DR. HOAG: Steve Hoag. I voted no for  
8 concerns about the efficacy.

9 MS. DAVIDSON: Gigi Davidson. I voted no  
10 because although it's slightly more bioavailable  
11 than L-carnitine, I believe that L-carnitine is  
12 better characterized and might have similar effects  
13 at a higher dose.

14 DR. GULUR: Padma Gulur. I voted no for  
15 reasons that have already been stated regarding the  
16 efficacy of the drug and the safety profile.

17 Dr. Cohen?

18 DR. COHEN: Yes, Jeffrey Cohen. I voted no  
19 because of the lack of clear efficacy.

20 DR. GULUR: Do we have any words from the  
21 FDA officials as we conclude day 1?

22 MS. AXELRAD: No, except to thank everyone



1 for their thoughtful questions and deliberations.  
2 And we very much appreciate the time that you've  
3 taken, and we look forward to talking about a  
4 different subject tomorrow.

5 DR. GULUR: Yes, Dr. Davidson?

6 MS. DAVIDSON: I just wanted to make a point  
7 of clarification about the various USP monographs  
8 that are out there. This afternoon's session,  
9 there were two monographs referenced as dietary  
10 supplement monographs, 1 proposed and 1 existing,  
11 for D-ribose and L-carnitine.

12 Those are food monographs in the food  
13 chemicals codex. And I wanted to make the  
14 distinction between those, that a dietary  
15 supplement monograph and a drug monograph are in  
16 the book in USPNF. Food monographs are in the FCC,  
17 and I don't know if FDA cares to make comments on  
18 the applicability of food monographs.

19 MS. AXELRAD: I don't think we think that  
20 they're applicable monographs. As we've said, it  
21 has to be a drug monograph for us to consider it an  
22 applicable USP or NF monograph.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

### **Adjournment**

DR. GULUR: Thank you for that clarification from both of you. I think it was helpful for most of us to have that.

With that, we will adjourn for today and reconvene tomorrow morning at 8:30, and look forward to another interesting day. Thank you.

(Whereupon, at 4:06 p.m., the afternoon session was adjourned.)