

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

JOINT MEETING OF THE ANESTHETIC AND ANALGESIC
DRUG PRODUCTS AND THE DRUG SAFETY AND
RISK MANAGEMENT ADVISORY COMMITTEES
(AADPAC and DSaRM)

Open Session

Tuesday, June 7, 2016

9:30 a.m. to 2:54 p.m.

FDA White Oak Campus
White Oak Conference Center
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 DESIGNATED FEDERAL OFFICER (Non-Voting)

3 **Stephanie L. Begansky, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7
8 ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY

9 COMMITTEE MEMBERS (Voting)

10 **Brian T. Bateman, MD, MSc**

11 Associate Professor of Anesthesia

12 Division of Pharmacoepidemiology and

13 Pharmacoeconomics

14 Department of Medicine

15 Brigham and Women's Hospital

16 Department of Anesthesia, Critical Care, and Pain

17 Medicine

18 Massachusetts General Hospital

19 Harvard Medical School

20 Boston, Massachusetts

21

22

1 **Raeferd E. Brown, Jr., MD, FAAP**

2 *(Chairperson)*

3 Professor of Anesthesiology and Pediatrics

4 College of Medicine

5 University of Kentucky

6 Lexington, Kentucky

7

8 **Charles W. Emala, Sr., MS, MD**

9 Professor and Vice-Chair for Research

10 Department of Anesthesiology

11 Columbia University College of Physicians &

12 Surgeons

13 New York, New York

14

15 **Jennifer G. Higgins, PhD**

16 *(Consumer Representative)*

17 Director of Strategic Planning and Business

18 Development

19 Center for Human Development

20 Springfield, Massachusetts

21

22

1 **Abigail B. Shoben, PhD**

2 Assistant Professor, Division of Biostatistics

3 College of Public Health

4 The Ohio State University

5 Columbus, Ohio

6

7 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

8 **COMMITTEE MEMBER (Non-Voting)**

9 **W. Joseph Herring, MD, PhD**

10 *(Industry Representative)*

11 Neurologist

12 Executive Director and Section Head

13 Neurology, Clinical Neurosciences

14 Merck Research Laboratories, Merck & Co.

15 North Wales, Pennsylvania

16

17 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

18 **MEMBERS (Voting)**

19 **Kelly Besco, PharmD, FISMP, CPPS**

20 Health-System Medication Safety Coordinator

21 OhioHealth Pharmacy Services

22 Dublin, Ohio

1 **Niteesh K. Choudhry, MD, PhD**

2 Associate Professor

3 Harvard Medical School

4 Associate Physician

5 Brigham and Women's Hospital

6 Boston, Massachusetts

7

8 **Tobias Gerhard, PhD, RPh**

9 Associate Professor

10 Rutgers University

11 Department of Pharmacy Practice and Administration,

12 Ernest Mario School of Pharmacy

13 New Brunswick, New Jersey

14

15 **TEMPORARY MEMBERS (Voting)**

16 **Melinda Campopiano, MD**

17 Medical Officer and Branch Chief

18 Regulatory Programs

19 Center for Substance Abuse Treatment

20 Substance Abuse and Mental Health Services

21 Administration (SAMHSA)

22 Rockville, Maryland

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Cynthia Chauhan

(Patient Representative)

Wichita, Kansas

Alan D. Kaye, MD, PhD

Professor and Chairman

Department of Anesthesia

Louisiana State University School of Medicine

New Orleans, Louisiana

Mary Ellen McCann, MD

Associate Professor of Anesthesia

Harvard Medical School

Senior Associate in Anesthesia

Boston Children's Hospital

Boston, Massachusetts

1 **Elaine Morrato, DrPH, MPH**

2 Associate Professor

3 Dept. of Health Systems Management and Policy

4 Dean for Public Health Practice

5 Colorado School of Public Health

6 University of Colorado Anschutz Medical Campus

7 Aurora, Colorado

8

9 **Jeanmarie Perrone, MD, FACMT**

10 Professor, Emergency Medicine

11 Director, Division of Medical Toxicology

12 Department of Emergency Medicine

13 Perelman School of Medicine

14 University of Pennsylvania

15 Philadelphia, Pennsylvania

16

17 **Michael Sprintz, DO**

18 Chief Medical Officer

19 Sprintz Center for Pain and Dependency

20 The Woodlands, Texas

21

22

1 **Sharon Walsh, PhD**

2 Professor of Behavioral Science, Psychiatry,
3 Pharmacology and
4 Pharmaceutical Sciences
5 Director, Center on Drug and Alcohol Research
6 University of Kentucky
7 Lexington, Kentucky

8

9 **Ursula Wesselmann, MD, PhD**

10 Professor of Anesthesiology and Neurology
11 Department of Anesthesiology
12 Division of Pain Medicine
13 University of Alabama at Birmingham
14 Birmingham, Alabama

15

16 **FDA PARTICIPANTS (Non-Voting)**

17 **Sharon Hertz, MD**

18 Director of the Division of Anesthesia, Analgesia
19 and Addiction Products (DAAAP)
20 Office of Drug Evaluation II (ODE-II)
21 Office of New Drugs (OND)
22 CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Ellen Fields, MD, MPH

Deputy Director

DAAAP, ODE-II, OND, CDER, FDA

Judy Staffa, PhD, RPh

Acting Associate Director for Public Health

Initiatives

Office of Surveillance and Epidemiology (OSE)

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Raeford Brown, Jr., MD, FAAP	12
5	Conflict of Interest Statement	
6	Stephanie Begansky, PharmD	17
7	FDA Introductory Remarks	
8	Ellen Fields, MD, MPH	21
9	Applicant Presentations - Teva	
10	Introduction	
11	Douglas Harnish, PhD	28
12	Chronic Pain and Opioid Abuse	
13	Charles Argoff, MD	33
14	Clinical Efficacy and Safety	
15	Richard Malamut, MD	38
16	Abuse Deterrence Studies	
17	(Category 1)	
18	Derek Moe, PhD	43
19	Abuse Deterrence Studies	
20	(Category 2 and 3)	
21	Lynn Webster, MD	56
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Summary & Benefit-Risk	
4	Richard Malamut, MD	67
5	Clarifying Questions	70
6	FDA Presentations	
7	Drug Utilization Patterns for	
8	Hydrocodone ER and Other ER/LA Opioid	
9	Analgesics 2011-2015	
10	Joann Lee, Pharm D	94
11	Ventrella ER (hydrocodone bitartrate)	
12	Extended-Release Tablets Labeling	
13	Section 9: Drug Abuse	
14	Robert Levin, MD	99
15	Clarifying Questions	107
16	Open Public Hearing	130
17	Clarifying Questions (continued)	145
18	Charge to the Committee	
19	Sharon Hertz, MD	160
20	Questions to the Committee and Discussion	164
21	Adjournment	223
22		

P R O C E E D I N G S

(9:30 a.m.)

Call to Order

Introduction of Committee

DR. BROWN: Good morning. I would first like to remind everyone to please silence your cell phones, smartphones, and any other devices, if you have not already done so. I would also like to identify the FDA press contact, Michael Felberbaum, who is in the back. Thank you, Michael.

My name is Raeford Brown. I'm the acting chairperson for today's meeting. I will now call the Joint Meeting of the Anesthetic and Analgesic Drug Products Advisory Committee and the Drug Safety and Risk Management Advisory Committee to order.

We'll start by going around the table and introducing ourselves. We will start with the FDA to my left and go around the table.

DR. STAFFA: Good morning. My name is Judy Staffa. I'm the acting associate director for public health initiatives in the Office of

1 Surveillance and Epidemiology in CDER.

2 DR. HERTZ: Sharon Hertz, director for the
3 Division of Anesthesia, Analgesia, and Addiction
4 Products.

5 DR. FIELDS: Ellen Fields, deputy director
6 in the same division.

7 DR. BATEMAN: Brian Bateman, associate
8 professor of anesthesia, Harvard Medical School.

9 DR. BESCO: Kelly Besco, practicing
10 pharmacist and medication safety officer for the
11 Ohio Health Hospital System in Columbus, Ohio.

12 DR. WALSH: I'm Sharon Walsh. I'm a
13 professor of behavioral science psychiatry from the
14 College of Pharmaceutical Sciences at the
15 University of Kentucky and the director of the
16 Center on Drug and Alcohol Research.

17 DR. CHOUDHRY: My name is Niteesh Choudhry.
18 I'm an internist and a health services researcher
19 at Harvard Medical School and Brigham and Women's
20 Hospital.

21 DR. MORRATO: Good morning. This is Elaine
22 Morrato. I'm an epidemiologist and health services

1 researcher at the Colorado School of Public Health,
2 where I'm also associate dean for public health
3 practice.

4 DR. SHOBNEN: I'm Abby Shoben. I'm an
5 assistant professor of biostatistics at the Ohio
6 State University.

7 DR. BEGANSKY: Stephanie Begansky. I'm the
8 designated federal officer for today's meeting.

9 DR. BROWN: Rae Brown. I'm a pediatric
10 anesthesiologist and professor of anesthesiology
11 and pediatrics at the University of Kentucky.

12 DR. PERRONE: Good morning. I'm Jeanmarie
13 Perrone. I'm an emergency physician, and medical
14 toxicologist, and professor of emergency medicine
15 and medical toxicology at the University of
16 Pennsylvania.

17 DR. KAYE: Good morning. I am Alan Kaye,
18 professor and chairman of anesthesia at LSU School
19 of Medicine in New Orleans.

20 DR. EMALA: Charles Emala, professor of
21 anesthesiology, vice chair for research, Columbia
22 University, New York.

1 DR. McCANN: Mary Ellen McCann, associate
2 professor at Harvard Medical School and Boston
3 Children's in anesthesia.

4 DR. CAMPOPIANO: Melinda Campopiano, family
5 physician, addiction medicine specialist. I'm a
6 medical officer and branch chief for regulatory
7 programs in the Division of Pharmacologic Therapies
8 at the Substance Abuse Mental Health Services
9 Administration.

10 DR. SPRINTZ: Hi. I'm Michael Sprintz. I'm
11 an anesthesiologist, pain medicine specialist, and
12 addiction medicine specialist, chief medical
13 officer for Sprintz Center for Pain and Dependency
14 in The Woodlands, Texas.

15 MS. CHAUHAN: Good morning, Cynthia Chauhan,
16 patient representative.

17 DR. HIGGINS: Jennifer Higgins, consumer
18 representative.

19 DR. GERHARD: Tobias Gerhard,
20 pharmacoepidemiologist, associate professor of
21 pharmacy at Rutgers University.

22 DR. WESSELMANN: Ursula Wesselmann. I'm at

1 the University of Alabama at Birmingham and I'm a
2 professor of anesthesiology, neurology, and
3 psychology.

4 DR. HERRING: Good morning. Joe Herring.
5 I'm a neurologist employed at Merck in the clinical
6 neuroscience group and the industry representative
7 to the AADPAC committee.

8 DR. BROWN: Welcome to everyone. For topics
9 such as those being discussed at today's meeting,
10 there are often a variety of opinions, some of
11 which are quite strongly held. Our goal is that
12 today's meeting will be a fair and open forum for
13 discussion of these issues and that individuals can
14 express their views without interruption.

15 Thus, as a general reminder, individuals
16 will be allowed to speak into the record only if
17 recognized by the Chairperson. We look forward to
18 a productive meeting.

19 In the spirit of the Federal Advisory
20 Committee Act and the Government in the Sunshine
21 Act, we ask that the advisory committee members
22 take care that their conversations about the topics

1 at hand take place in the open forum of this
2 meeting.

3 We are aware that members of the media are
4 anxious to speak with the FDA about these
5 proceedings. However, FDA will refrain from
6 discussing the details of this meeting with the
7 media until its conclusion. Also, the committee is
8 reminded to please refrain from discussing the
9 meeting topic during breaks or lunch.

10 Now, I'll pass it to Lieutenant Commander
11 Stephanie Begansky, who will read the conflict of
12 interest statement.

13 **Conflict of Interest Statement**

14 DR. BEGANSKY: Thank you. Good morning.
15 The Food and Drug Administration is convening
16 today's Joint Meeting of the Anesthetic and
17 Analgesic Drug Products Advisory Committee and the
18 Drug Safety and Risk Management Advisory Committee
19 under the authority of the Federal Advisory
20 Committee Act of 1972.

21 With the exception of the industry
22 representative, all members and temporary voting

1 members of these committees are special government
2 employees or regular federal employees from other
3 agencies and are subject to federal conflict of
4 interest laws and regulations. The following
5 information on the status of these committees'
6 compliance with federal ethics and conflict of
7 interest laws, covered by but not limited to those
8 found at 18 U.S.C. Section 208, is being provided
9 to participants in today's meeting and to the
10 public.

11 FDA has determined that members and
12 temporary voting members of these committees are in
13 compliance with federal ethics and conflict of
14 interest laws.

15 Under 18 U.S.C. Section 208, Congress has
16 authorized FDA to grant waivers to special
17 government employees and regular federal employees
18 who have potential financial conflicts when it is
19 determined that the agency's need for a special
20 government employee's services outweighs his or her
21 potential financial conflict of interest or when
22 the interest of a regular federal employee is not

1 so substantial as to be deemed likely to affect the
2 integrity of the services which the government may
3 expect from the employee.

4 Related to the discussions of today's
5 meeting, members and temporary voting members of
6 these committees have been screened for potential
7 financial conflicts of interest of their own, as
8 well as those imputed to them, including those of
9 their spouses or minor children and, for purposes
10 of 18 U.S.C. Section 208, their employers.

11 These interests may include investments,
12 consulting, expert witness testimony, contracts,
13 grants, CRADAs, teaching, speaking, writing,
14 patents and royalties, and primary employment.

15 Today's agenda involves the discussion of
16 new drug application 207975, hydrocodone bitartrate
17 extended-release tablets, submitted by Teva Branded
18 Pharmaceutical Products R&D, Incorporated, with the
19 proposed indication of management of pain severe
20 enough to require daily, around-the-clock, long-
21 term opioid treatment and for which alternative
22 treatment options are inadequate.

1 The product is an extended-release
2 formulation intended to have abuse-deterrent
3 properties based on its physiochemical properties.
4 The committees will be asked to discuss whether the
5 data submitted by the applicant are sufficient to
6 support labeling of the product with the properties
7 expected to deter abuse.

8 This is a particular matters meeting, during
9 which specific matters related to Teva's NDA will
10 be discussed. Based on the agenda for today's
11 meeting and all financial interests reported by the
12 committee members and temporary voting members, no
13 conflict of interest waivers have been issued in
14 connection with this meeting.

15 To ensure transparency, we encourage all
16 committee members and temporary voting members to
17 disclose any public statements that they have made
18 concerning the product at issue.

19 With respect to the FDA's invited industry
20 representative, we would like to disclose that Dr.
21 Joseph Herring is participating in this meeting as
22 a non-voting industry representative, acting on

1 behalf of regulated industry. Dr. Herring's role
2 at this meeting is to represent industry in general
3 and not any particular company. Dr. Herring is
4 employed by Merck and Company.

5 We would like to remind members and
6 temporary voting members that if the discussions
7 involve any other product or firm not already on
8 the agenda for which an FDA participant has a
9 personal or imputed financial interest, the
10 participants will need to exclude themselves from
11 such involvement and their exclusion will be noted
12 for the record.

13 FDA encourages all other participants to
14 advise the committees of any financial
15 relationships that they may have with any firm at
16 issue. Thank you.

17 DR. BROWN: We will now proceed with the
18 FDA's introductory remarks from Dr. Ellen Fields.

19 **FDA Introductory Remarks - Ellen Fields**

20 DR. FIELDS: Good morning. Dr. Brown,
21 members of the Anesthesia and Analgesia Drugs
22 Advisory Committee, members of the Drug Safety and

1 Risk Management Advisory Committee, and invited
2 guests, we sincerely thank you for spending your
3 valuable time at this meeting, where we will be
4 discussing an application from Teva for a new
5 extended-release tablet formulation of hydrocodone
6 bitartrate, with the proposed trade name Ventrela
7 ER.

8 If approved, Ventrela ER will have the same
9 indication as the already approved
10 extended-release, long-acting opioid analgesics;
11 that is, the management of pain severe enough to
12 require daily, around-the-clock, long-term opioid
13 treatment and for which alternative treatment
14 options are inadequate.

15 Ventrela ER has been formulated with
16 physical and chemical properties that are expected
17 to deter oral, intranasal, and intravenous abuse.
18 During this meeting, you will hear presentations
19 from Teva on the development program for Ventrela
20 ER and results of the in vitro physical and
21 chemical manipulation studies and the human abuse
22 potential studies they conducted to demonstrate

1 abuse-deterrent properties.

2 FDA will present drug utilization data for
3 hydrocodone and other extended-release opioids, as
4 well as the proposed labeling regarding the in
5 vitro and in vivo abuse deterrence studies
6 conducted by the applicant.

7 We are aware of the immense public health
8 problem that exists in the United States today from
9 the abuse of prescription opioids. As part of a
10 larger effort across HHS, we at FDA have encouraged
11 drug companies to develop novel interventions to
12 reduce or, when possible, prevent this abuse.

13 To this end, we have supported the
14 development of novel formulations through multiple
15 interactions with both the pharmaceutical industry
16 and the academic community. And in April 2015, we
17 issued the guidance for industry, Abuse-Deterrent
18 Opioids, which explains the agency's current
19 thinking regarding studies that should be conducted
20 to demonstrate that a given formulation has abuse-
21 deterrent properties, making recommendations about
22 how these studies should be performed and

1 evaluated, and it discusses how to describe those
2 studies and their implications in product labeling.

3 In response to the growing epidemic of
4 opioid abuse, dependence, and overdose in the
5 United States, the commissioner announced an opioid
6 action plan in February of this year to take steps
7 toward reducing the impact of opioid abuse on the
8 public health.

9 As part of this plan, the agency has
10 committed to work more closely with its advisory
11 committees before making critical product and
12 labeling decisions. As you may know, we are
13 calling on all of you more often to fulfill this
14 goal.

15 As we work to make opioid analgesics less
16 desirable targets for abuse, we cannot forget that
17 the underlying purpose of these products is the
18 management of pain in patients for which other
19 alternatives are inadequate, and opioid analgesics
20 remain an important component of their pain
21 management.

22 The greater amount of opioid available in

1 many extended-release opioid analgesics relative to
2 immediate-release products is associated with
3 greater risk for overdose and death, but also makes
4 these products a desirable target for those seeking
5 to abuse opioids.

6 However, immediate-release opioids are also
7 abused and the development of abuse-deterrent
8 immediate-release formulations that can reduce
9 opioid abuse is also an important public health
10 goal.

11 While the most common route of abuse for
12 opioids is oral, the risk for infection and
13 overdose associated with intravenous or nasal
14 routes make these routes of abuse important targets
15 for abuse-deterrent properties.

16 With every new product, we weigh the risks
17 and benefits. With new abuse-deterrent
18 formulations, we are also watchful for any evidence
19 that the product results in a new or increased
20 safety risk for patients who take the product as
21 directed, as discussed at an advisory committee
22 last September, and for any evidence that by

1 deterring abuse of one route of administration, the
2 new product may shift abuse to a riskier route; for
3 example, deterring oral abuse, but inadvertently
4 making nasal or IV abuse more attractive.

5 There are currently six approved extended-
6 release opioid products with abuse-deterrent
7 properties and we are watching the post-marketing
8 data closely for any signs of unintended problems
9 associated with these products.

10 Today, you will be asked to discuss whether
11 the applicant has demonstrated abuse-deterrent
12 properties for their product that would support
13 labeling the routes of abuse for which abuse-
14 deterrent properties have been demonstrated and
15 whether Ventrela ER should be approved.

16 These are clearly difficult questions for
17 which there are no easy answers. We are asking
18 that you provide your expertise, your experience,
19 and your best insights in order to help us find a
20 reasonable and responsible path forward. Your
21 advice and recommendations will be essential in
22 assisting us with addressing this complex and

1 critical public health concern.

2 We are grateful that you have agreed to join
3 us and look forward to this important discussion.

4 DR. BROWN: Thank you, Dr. Fields.

5 Both the Food and Drug Administration and
6 the public believe in a transparent process for
7 information gathering and decision making. To
8 ensure such transparency at the advisory committee
9 meeting, FDA believes it is important to understand
10 the context of an individual's presentation.

11 For this reason, FDA encourages all
12 participants, including the applicant's non-
13 employee presenters, to advise the committee of any
14 financial relationships that they may have with the
15 applicant, such as consulting fees, travel
16 expenses, honoraria, and interests in the sponsor,
17 including equity interests and those based upon the
18 outcome of the meeting. Likewise, FDA encourages
19 you, at the beginning of your presentation, to
20 advise the committee if you do not have any such
21 financial relationships.

22 If you choose not to address this issue of

1 financial relationships at the beginning of your
2 presentation, it will not preclude you from
3 speaking.

4 We will now proceed with Teva's
5 presentations.

6 **Applicant Presentation - Douglas Harnish**

7 DR. HARNISH: Good morning. I'm Douglas
8 Harnish, senior director and regulatory affairs
9 head of pain and migraine at Teva Pharmaceuticals.
10 We'd like to thank the FDA and the advisory
11 committee members for your time today to discuss
12 Teva's NDA for Ventrela ER.

13 Ventrela ER is a hydrocodone bitartrate
14 extended-release tablet intended for the management
15 of pain severe enough to require daily, around-the-
16 clock, long-term opioid treatment and for which
17 alternative treatment options are inadequate.

18 This indication matches that of other
19 extended-release opioid products. Ventrela ER is a
20 single-entity hydrocodone product that's free of
21 acetaminophen, that is dosed every 12 hours. It
22 comes in various strengths from 15 to 90

1 milligrams.

2 Ventrela ER tablets consist of a novel
3 abuse-deterrent formulation using three
4 complimentary layers with Teva's abuse-deterrent
5 technology. The novel three-layer, extended-
6 release formulation used for Ventrela needs to
7 produce consistent drug delivery to provide
8 analgesia over a 12-hour period, but it still needs
9 to act as a barrier to resist drug extraction via
10 the most common routes of manipulation used for
11 extended-release hydrocodone products.

12 As with any abuse-deterrent formulation, the
13 expectation is to deter abuse, but not fully
14 prevent it.

15 The Ventrela program was conducted in close
16 collaboration with the FDA. We had numerous
17 interactions with the FDA concerning both the
18 design and breadth of the in vitro manipulation and
19 clinical studies to adequately evaluate the abuse-
20 deterrent properties of Ventrela ER.

21 For the confirmation of analgesic efficacy,
22 a phase 3 study was conducted in chronic pain

1 patients. Ventrela ER met its primary phase 3
2 endpoint of worse pain intensity, or WPI,
3 demonstrating statistically significant pain
4 reduction compared to placebo, with a safety
5 profile consistent with that of other ER opioids.
6 The Ventrela abuse-deterrent program does align
7 with FDA guidance that was first proposed in 2013
8 and finalized in April of 2015 to evaluate abuse-
9 deterrent features.

10 The goal of the abuse-deterrent program is
11 to test the formulation to failure by assessing
12 various physical and chemical manipulations, as
13 well as assessing relevant routes of abuse. For
14 extended-release formulation, the intent is to be
15 resistant to conversion to an immediate-release
16 formulation upon manipulation.

17 Therefore, both Cmax and Tmax become very
18 important variables to monitor, because as with any
19 extended-release formulation, drug will continue to
20 release over time.

21 Our presentation today will demonstrate that
22 Ventrela ER provides significant barriers to deter

1 abuse. We will show Category 1 studies
2 demonstrating that Ventrela has physical and
3 chemical properties that are expected to deter
4 abuse via the most common routes.

5 Our Category 2 oral and intranasal PK
6 studies demonstrated that the abuse-deterrent
7 properties of Ventrela limit the extent and rate of
8 rise of drug concentration after manipulation.

9 Finally, our Category 3 studies confirm that
10 manipulated Ventrela has reduced drug liking when
11 administered via the oral and intranasal routes.
12 Overall, we believe these data support labeling
13 that Ventrela ER has properties expected to deter
14 abuse.

15 Teva is also committed to responsible pain
16 management, while protecting the overall public
17 health. If approved, Teva will be working within
18 the framework of the FDA's opioid action plan,
19 including joining the ongoing and expanded post-
20 marketing requirements for long-acting opioids,
21 inclusive of a Category 4 real-world abuse study.

22 We'll be participating in the updated REMS

1 program, and we'll be supporting safer prescribing
2 and use of opioids to reduce the impact of opioid
3 abuse, while providing effective analgesics in the
4 treatment of chronic pain.

5 With this in mind, let's review today's
6 agenda. Dr. Charles Argoff, a neurologist and
7 globally recognized pain expert, will discuss the
8 need for effective, extended-release opioids for
9 the treatment of chronic pain that also deter the
10 most common forms of misuse and abuse.

11 Next, Dr. Richard Malamut will present the
12 clinical efficacy and safety data.

13 Then, Dr. Derek Moe will present our
14 Category 1 abuse deterrence studies.

15 Dr. Lynn Webster, a globally recognized pain
16 management and opioid abuse expert, will discuss
17 our Categories 2 and 3 abuse deterrence studies.

18 Finally, Dr. Malamut will conclude with a
19 summary and a discussion of the overall benefit-
20 risk. All external experts have been compensated
21 for their time and travel expenses to today's
22 meeting. We are also joined today by additional

1 experts available to respond to your questions.

2 I will now invite Dr. Argoff to discuss his
3 perspective on the medical need for abuse-deterrent
4 technologies.

5 **Applicant Presentation - Charles Argoff**

6 DR. ARGOFF: Good morning. My name is
7 Charles Argoff. I'm a professor of neurology at
8 Albany Medical College and director of the
9 Comprehensive Pain Center at Albany Medical Center.
10 Thank you for the opportunity to address the
11 patient need for extended-release opioids that
12 deter abuse.

13 Opioid therapy has proven benefits for
14 patients with chronic pain disorders. As their use
15 has increased for the millions of people who suffer
16 from chronic pain, so has the abuse of opioid
17 analgesics. We recognize that our patients need
18 access to opioid analgesics to optimally treat
19 their chronic pain conditions.

20 We as prescribers recognize that we must
21 work jointly with public health authorities to
22 manage risk, while maintaining availability of this

1 important option for prescribers and patients in
2 the management of chronic pain.

3 The Institute of Medicine issued a report on
4 managing pain in the United States, emphasizing
5 that millions of U.S. adults experience chronic
6 pain every day. This includes conditions such as
7 low back pain, osteoarthritis, and cancer pain.

8 No two people experiencing chronic pain
9 respond equally well to the same regimen. When
10 following an opioid-sparing approach, many
11 patients, regrettably, do not find sufficient
12 relief. For these patients, chronic opioid therapy
13 may offer substantial long-term benefit and
14 improved quality of life.

15 Abuse and diversion of opioids are a well
16 recognized public health challenge. Since 1999,
17 the number of deaths associated with opioids has
18 increased nearly fourfold. The CDC has reported
19 that in 2014, more than 14,000 Americans died from
20 overdose involving prescription opioids.

21 The Drug Abuse Warning Network estimated
22 that more than 420,000 emergency department visits

1 were related to the misuse or abuse of narcotic
2 pain relievers in 2011, the most recent year for
3 which data are available.

4 Opioid analgesic abuse costs payers more
5 than \$72 billion a year in direct healthcare costs.
6 A huge challenge facing all of us is providing
7 patients with appropriate access to these effective
8 analgesic agents without making the problem of
9 prescription opioid abuse worse.

10 The availability and use of abuse-deterrent
11 formulations are one key step to confronting this
12 public health issue. They are part of a larger
13 solution to address abuse of prescription opioid
14 analgesics. Increasing access to these abuse-
15 deterrent formulations is pivotal in helping
16 clinicians more safely manage their patients who
17 benefit from chronic opioid therapy.

18 Many opioid abusers try to manipulate
19 extended-release opioid formulations to allow for
20 quicker release of more drug. This so-called dose
21 dumping results in a pharmacokinetic profile more
22 consistent with an IR formulation. This PK profile

1 of higher Cmax and shorter Tmax is linked to
2 greater euphoria, drug liking, and abuse liability.

3 In an attempt to limit this behavior, some
4 abuse-deterrent products rely on hardness as a
5 physical barrier to resist reductions in particle
6 size and, therefore, deter abuse. Literature
7 suggests that the majority of abusers will not
8 spend longer than 10 minutes manipulating extended-
9 release opioids.

10 The goal of abuse-deterrent opioids is to
11 curb abuse for these casual abusers. Of course,
12 all abuse-deterrent opioids can be defeated with
13 enough time and effort. After all, the products
14 have to be bioavailable for patients.

15 Knowing the most common physical and
16 chemical manipulations employed by abusers has
17 helped develop formulations that better resist
18 product release and extraction. Category 1 through
19 3 studies evaluate this potential.

20 Extended-release formulations are more
21 likely to be manipulated and either swallowed, used
22 intranasally, or injected. The oral route is by

1 far the most common route of abuse for ER opioids.

2 We know that abusers seek methods to defeat
3 the abuse-deterrent properties via manipulation and
4 extraction. Therefore, as abusers learn to
5 circumvent existing abuse-deterrent formulations,
6 there is an urgent need for improved abuse-
7 deterrent opioids. Currently, the FDA and other
8 health authorities are focused on development of
9 abuse-deterrent opioids to protect the public
10 health.

11 Increasing access to these formulations is
12 key in helping clinicians more safely manage their
13 patients who benefit from chronic opioid therapy.
14 I recognize the value of extended-release opioids
15 to treat appropriate patients in my practice. I
16 see the importance of safer abuse-deterrent opioid
17 formulations for chronic pain when other pain
18 management options have not provided meaningful
19 relief.

20 As a practicing pain specialist who also
21 contributes to the medical literature in this
22 field, the availability of additional abuse-

1 deterrent options is imperative for my ability to
2 help my patients.

3 Thank you. I'll now turn the lectern to
4 Dr. Malamut to discuss the phase 3 efficacy and
5 safety results.

6 **Applicant Presentation - Richard Malamut**

7 DR. MALAMUT: Thank you, Dr. Argoff. I'm
8 Dr. Richard Malamut, senior vice president for
9 global clinical development at Teva. I will be
10 reviewing efficacy and safety findings that support
11 the NDA for Ventrela ER. In part, efficacy of
12 Ventrela ER is supported by the agency's previous
13 finding of efficacy for hydrocodone from the
14 reference drug Vicoprofen.

15 I would now like to review the data from our
16 pivotal phase 3 study, 3103. Study 3103 used a
17 multi-center, double-blind, placebo-controlled,
18 randomized-withdrawal design. This phase 3 study
19 design aligns with that utilized for other
20 extended-release opioid analgesics approved for the
21 treatment of chronic pain.

22 As part of our considerations for the design

1 of study 3103, we included learnings from the
2 phase 3 efficacy study, 3079, which did not
3 demonstrate statistical significance for its
4 primary endpoint. We enrolled adult patients who
5 suffered from moderate to severe chronic low back
6 pain for at least three months prior to screening.

7 Patients were randomized to doses of 30 to
8 90 milligrams every 12 hours, with a 15-milligram
9 dose utilized only for titration purposes. Rescue
10 medication was limited to immediate-release opioids
11 at a maximum of 60 milligrams hydrocodone, 3900
12 milligrams acetaminophen during the double-blind
13 portion of the study. This ensured adequate
14 analgesia for these patients who suffer with severe
15 pain and, also, minimize the rate of
16 discontinuation.

17 The study design was reviewed with the FDA
18 at our end-of-phase-2 meeting. Once enrolled in
19 the screening, patients began an open-label
20 titration period lasting up to six weeks. During
21 this titration period, each patient's
22 individualized optimal dose of Ventrela ER of at

1 least 30 milligrams every 12 hours was determined
2 based upon efficacy and tolerability.

3 During the open-label titration period, the
4 mean worst pain intensity, on an 11-point numerical
5 rating scale, decreased by 3.72 points; and, the
6 mean average pain intensity, on an 11-point
7 numerical rating scale, decreased by 2.95 points.

8 After the titration period, patients were
9 randomized 1:1 to receive either their optimal dose
10 of Ventrela ER or a matched placebo. This dose was
11 then maintained for weeks 3 through 12. In order
12 to both reduce withdrawal symptoms and mitigate the
13 potential for pain rebound in patients to be
14 randomized to placebo, a step-wise double-blind
15 tapering schedule was used during the first two
16 weeks of the double-blind study.

17 The primary efficacy measurement of average
18 worst pain intensity was collected during week 12.
19 Patients were followed for an additional four weeks
20 to collect safety data if they did not continue
21 into the open-label study 3104.

22 The primary efficacy endpoint in study 3103

1 was the change from pre-randomization baseline to
2 week 12 in the weekly average worst pain intensity.
3 The primary analysis assessed intent to treat, with
4 multiple imputation of missing data. The primary
5 endpoint of the study was met.

6 As seen here, at 12 weeks, patients in the
7 Ventrela ER group showed a statistically
8 significant lower increase in pain scores compared
9 with placebo. Sensitivity analyses were conducted
10 and all were significant, showing that the results
11 of the primary efficacy analysis were robust and
12 not sensitive to method of imputation or potential
13 confounding factors.

14 Our first secondary endpoint, based on
15 average pain intensity, also demonstrated a
16 statistically significant treatment effect, as seen
17 here. This finding further confirmed the efficacy
18 of Ventrela ER in the reduction of chronic pain.

19 The next secondary endpoint, time to loss of
20 efficacy, was lower in the Ventrela ER group, but
21 not statistically significant, with a p value of
22 0.059. Therefore, all subsequent secondary

1 endpoints were deemed not statistically significant
2 on the basis of the hierarchical method to control
3 for type 1 error rate due to multiple endpoints.

4 Now, let's move on to our safety data. In
5 part, the safety of Ventrela ER is supported by the
6 agency's previous findings of safety for
7 hydrocodone from the reference drug Vicoprofen.

8 In study 3103, no unexpected safety concerns
9 were identified for Ventrela ER as compared to
10 placebo, and the adverse events collected were
11 consistent with those seen in other clinical
12 studies of extended-release opioids.

13 The most common AEs reported with Ventrela
14 ER were constipation and nausea. Safety was also
15 assessed by pooling data from 1,176 patients across
16 all phase 3 studies, including long-term,
17 open-label extension studies where patients were
18 treated for as long as 12 months.

19 The safety profile in this broader cohort
20 was consistent with what we see with other
21 extended-release opioids, and no new safety
22 concerns were observed with this longer duration of

1 therapy.

2 A total of 1,176 patients received at least
3 one dose of Ventrela ER, with an overall exposure
4 of 412.12 patient years and a maximum exposure of
5 15.8 months. 363 patients were treated with
6 Ventrela ER for at least six months and of those,
7 197 patients were treated for at least 12 months.

8 In summary, the phase 3 study 3103 supports
9 efficacy of Ventrela ER for patients with chronic
10 pain. The primary efficacy endpoint was met, and
11 the results were supported by multiple sensitivity
12 analyses.

13 Finally, the safety profile was consistent
14 with published data from placebo-controlled studies
15 assessed or other extended-release opioid products.

16 Thank you. I will now turn the lectern to
17 Dr. Derek Moe to discuss our Category 1 abuse-
18 deterrent data.

19 **Applicant Presentation - Derek Moe**

20 DR. MOE: Good morning. My name is Derek
21 Moe and I am vice-president of drug delivery
22 technologies for Teva. I am pleased to begin the

1 review of Teva's development activities that
2 characterize the abuse-deterrent properties of
3 Ventrela ER.

4 Ventrela was developed in close
5 collaboration with the FDA over a period of four
6 years, and our studies implemented FDA advice.
7 Ventrela is designed to retain its extended-release
8 properties following the most likely methods of
9 chemical and physical manipulation.

10 We tested Ventrela against comparators
11 containing hydrocodone, including immediate-release
12 Vicoprofen, hydrocodone API, and Zohydro ER, once
13 it was commercially available. Our goal is to
14 retain extended-release characteristics following
15 manipulation and making the product less attractive
16 to abusers.

17 I will now take you through the Category 1
18 studies that tested Ventrela against physical and
19 chemical manipulations meant to mimic the most
20 common routes of abuse. The Category 1 studies are
21 specifically designed to push the formulation to
22 the limits. Many of these tests are beyond what a

1 recreational user would attempt.

2 Based on the in vitro, pharmacokinetics, and
3 human abuse potential studies, Ventrela is expected
4 to provide abuse deterrence. When compared to a
5 non-abuse-deterrent opioid formulation, our
6 extensive studies found that Ventrela retains
7 extended-release properties following chemical and
8 physical manipulation.

9 As a result, Ventrela has a lower abuse
10 potential via the two major routes of abuse, which
11 are oral and intranasal ingestion. In addition,
12 extensive in vitro studies demonstrate that
13 Ventrela provides a significant barrier to abuse
14 via injection.

15 Advanced isolation methods that result in
16 the greatest amount of extraction also result in
17 low drug purity. This is an important
18 consideration for IV abuse, since additional
19 material will be injected along with the active
20 ingredient.

21 Moving now to a review of Category 1
22 laboratory-based, in vitro testing and results.

1 Our studies ranged from simple, physical
2 manipulations that casual abusers might use to
3 complex techniques of a sophisticated abuser.

4 The tests were based on a wide range of
5 sources to ensure we were evaluating Ventrela
6 against methods that abusers use in the real world.
7 This means we scoured internet chat rooms,
8 consulted experts in the field, and acted on
9 information from the FDA.

10 In addition to these real-world methods, we
11 also tested the limits of the formulation by using
12 combinations of conditions involving high heat,
13 extreme cold, vigorous agitation, and a variety of
14 solvents. These conditions are not typically used
15 by the majority of abusers, but are included here
16 to characterize the formulation's abuse-deterrent
17 profile.

18 This resulted in 844 independent
19 experiments. In order to determine the 95 percent
20 confidence interval, each individual experiment was
21 repeated multiple times, which produced 3,798
22 individual results.

1 Tests included efforts to break the
2 formulation by cutting, crushing, milling, and
3 grinding the tablets in attempts to increase
4 extraction rate. We performed extraction in a
5 variety of solvents, at a range of temperatures and
6 mixing conditions. We also performed chemical
7 extraction and more exotic multi-step chemical
8 extraction. We performed simulated oral ingestion,
9 simulated intranasal, and IV extraction.

10 Now, let's focus on each of these sections
11 one at a time, starting with the physical
12 manipulations. We performed screening studies
13 using 15 tools that represent different mechanisms
14 of particle size reduction.

15 This includes tools that work by milling,
16 cutting, grinding, or crushing, really any physical
17 mechanism an abuser might attempt to break down an
18 extended-release formulation. We ultimately
19 selected five tools for our Category 1 studies that
20 represented the various mechanisms of destruction
21 and were worst case for each type.

22 Since the body of data is so large and four

1 of the tools gave similar results, in the next
2 series of slides, we will show extraction data
3 using two manipulation tools. We show Tool E
4 because it is a worst-case tool that would only be
5 used by dedicated abusers due to time, effort, and
6 impracticality of use.

7 We will show Tool A because it has a similar
8 release rate as other tools on Ventrela. Also, it
9 is feasible to manipulate Zohydro ER with Tool A,
10 allowing a head-to-head comparison across all tests
11 versus a non-abuse-deterrent, 12-hour hydrocodone
12 product.

13 The rate of drug release was compared
14 between manipulated Ventrela and manipulated
15 Zohydro using dissolution over six hours. For
16 simulated oral ingestion, the first 30 minutes have
17 been cited in draft, abuse-deterrent generic
18 guidance from FDA as the critical time period that
19 would indicate loss of extended-release properties
20 if 80 percent or more of drug is released.

21 We'll be using this threshold when we
22 discuss simulated oral ingestion studies. In our

1 simulated oral ingestion dissolution testing,
2 Ventrela maintained extended-release properties
3 after manipulation, and the release profile was
4 well below the 80 percent threshold at 30 minutes
5 that I just mentioned.

6 For manipulated Ventrela, we saw release
7 profiles of 9 percent with Tool A and 44 percent
8 release with Tool E at 30 minutes. This compares
9 to 97 percent released for Zohydro. After two
10 hours, the release rate for Ventrela increased to
11 35 and 66 percent compared to 99 percent released
12 drug for Zohydro. These results are particularly
13 relevant since the oral route of administration is
14 the most common route of abuse, as Dr. Argoff
15 mentioned earlier.

16 Moving now to an overview of simulated
17 intranasal and IV evaluations, here is a picture of
18 a Ventrela tablet that has been manipulated and
19 dispersed in 10 milliliters of fluid. As you can
20 see, when the vial is turned upside down, the
21 formulation will stick to the bottom of the vial.
22 This image helps to demonstrate how viscous the

1 product can become and the challenges it presents
2 when trying to insufflate the product or dissolve
3 in small volumes of liquid for IV injection.

4 Moving now to the data for simulated
5 intranasal extraction. In simulated intranasal
6 insufflation tests, we found that very little drug
7 from Ventrela was released in all conditions
8 compared to Zohydro. Here, you see results from
9 Ventrela and Zohydro, both manipulated with the
10 tools we have discussed.

11 Manipulated product was placed in fluid at
12 various times. At the 10-minute point, Ventrela
13 had a release profile of 1 percent with Tool A and
14 12 percent with Tool E. This compares to 89
15 percent of drug released from manipulated Zohydro
16 at the same 10-minute mark.

17 The release rates increased slightly at the
18 30-minute mark for both Ventrela and Zohydro.

19 We also conducted evaluations of
20 injectability and syringeability, demonstrating
21 that Ventrela has the potential to deter the IV
22 route of abuse.

1 Ventrela was analyzed for IV injection in
2 two ways; first, as an intact tablet in solution,
3 which resulted in syringeable liquid, but little
4 active drug in the injection; and, second, after
5 manipulation with several tools and mixing, the
6 resulting solution was a difficult-to-syringe
7 viscous material with little drug.

8 Ventrela exhibited a significant barrier to
9 extraction of hydrocodone for IV abuse, even when
10 using the most destructive tool, compared to
11 Zohydro. The times represented in this experiment
12 reflect how long an abuser might spend to prepare a
13 product for an IV injection.

14 While manipulated Ventrela resisted release
15 of hydrocodone in the small volumes required for
16 injection, with 5 and 20 percent of drug release,
17 there was more than an 80 percent extraction of
18 hydrocodone from manipulated Zohydro within one
19 minute. These extraction rates were similar when
20 tested after five minutes.

21 Next, we conducted extraction studies to
22 evaluate the rate of drug release in common aqueous

1 ingestible fluids. We also used advanced solvents
2 in an effort to extract pure drug. These solvents
3 have a range of polarity and pH.

4 An abuser will use common aqueous solvents
5 to produce a drug solution intended to be consumed
6 orally. Conversely, they would use advanced
7 solvents to extract and isolate pure drug powder
8 that would typically be used for IV abuse. As a
9 result, purity becomes important when examining
10 advanced solvents.

11 The studies investigated the influence of
12 exposure times, temperature, and agitation on
13 manipulated Ventrela and Zohydro. Here, we compare
14 extraction of manipulated Ventrela versus
15 manipulated Zohydro using two different liquids.

16 The chart shows the amount of drug dissolved
17 and the time spent to achieve this value. Shown
18 here are the results when Ventrela is manipulated
19 with Tool A and Tool E prior to mixing. At 30
20 minutes, we see 8 to 29 percent extracted from
21 Ventrela compared to 78 to 97 percent with Zohydro.

22 Ventrela ER was tested in Category 1 studies

1 to the point of failure, so it's not surprising
2 that we found certain combinations of stress
3 conditions that overcame the abuse-deterrent
4 properties. As shown earlier, Ventrela was not
5 defeated in the simulated oral ingestion studies
6 with manipulated powder.

7 However, in the chemical extraction studies,
8 we found a process where more than 80 percent of
9 drug can be released in 30 minutes. This involved,
10 first, manipulating Ventrela and then subjecting it
11 to a specific combination of stressors applied
12 simultaneously.

13 This is not unexpected as abuse-deterrent
14 formulations are abuse deterrent, not abuse proof.
15 Ultimately, these are medications that need to
16 release the drug in order to provide relief for
17 chronic pain.

18 Data is shown here that Ventrela exhibits a
19 greater barrier to hydrocodone extraction with
20 advanced solvents than Zohydro. When an abuser
21 performs an advanced solvent extraction, they
22 isolate a mass of material that consists of API,

1 release-controlling polymers, tablet excipients,
2 and residual solvents.

3 The percent purity represents the amount of
4 the mass of powder that is actually hydrocodone.
5 While both Ventrela and Zohydro show near-complete
6 chemical extraction when isolated as a powder, the
7 purity for Ventrela was much lower than Zohydro.

8 Here, you see on the Y-axis, this is the
9 percent of drug purity achieved through extraction.
10 On the X-axis, we see the results of Ventrela and
11 Zohydro when extracted in five different solvents.
12 For each solvent, we tested Ventrela tablets,
13 manipulated with the two tools we've been
14 discussing, in blue, compared to the manipulated
15 Zohydro, in yellow.

16 Ventrela saw purity rates ranging from a low
17 of 3 percent to a high of 18 percent. In
18 comparison, the purity levels extracted for Zohydro
19 range from 26 to 94 percent.

20 Taking it to the next step, we conducted
21 several multi-step chemical extraction tests. This
22 type of test is used by only the most dedicated and

1 chemistry-savvy individuals in an attempt to
2 isolate pure drug. Here, we see a similar trend
3 with respect to purity. In addition, the
4 extraction amount is shown below each bar,
5 revealing incomplete extraction.

6 The results show Ventrela formulations
7 exhibited a greater barrier to hydrocodone
8 extraction with a multi-step method than Zohydro.
9 While extraction rates ranged from 26 to 78 percent
10 for Ventrela, the purity of drug substance
11 extracted was low, from 10 to 42 percent. This is
12 likely due to significant amounts of extracted
13 polymer entrapped in the resulting powder.
14 For Zohydro, extraction rates ranged from 46 to 95
15 percent and the purity was 72 to 81 percent.

16 In summary, our Category 1 in vitro studies
17 demonstrated that Ventrela ER maintained its
18 extended-release profile, even after applying
19 techniques, methods, and practices known to be used
20 for abuse.

21 These studies are specifically designed to
22 push the formulation to the limit. Under all but

1 the most extreme conditions, Ventrela retains
2 extended-release properties following chemical and
3 physical manipulation compared to a non-abuse-
4 deterrent opioid formulation.

5 I am now pleased to introduce Dr. Lynn
6 Webster, who will review our Category 2 and 3
7 studies.

8 **Applicant Presentation - Lynn Webster**

9 DR. WEBSTER: Thank you, Derek. Good
10 morning, everyone. I'm Lynn Webster, vice-
11 president of scientific affairs at PRA Health
12 Sciences in Salt Lake City. I was the principal
13 investigator on some of the Category 2 and 3
14 studies. I also have a keen interest in opioid
15 abuse and misuse and have published extensively in
16 this field.

17 I'll be presenting the results of the
18 Category 2 and 3 studies. As mentioned earlier,
19 Ventrela is designed to retain significant
20 extended-release properties following manipulation,
21 limiting the rate and extent of rise in drug
22 concentration.

1 In the Category 2 studies, we evaluated the
2 PK profiles of manipulated and intact Ventrela in
3 two oral studies and one intranasal study. Prior
4 to discussing the Category 2 results, let me first
5 begin with a requirement for any extended-release
6 product, and that is evaluating the potential for
7 dose dumping when taken with alcohol.

8 Here are PK profiles when intact Ventrela is
9 taken with water, 4 percent, 20 percent, and 40
10 percent alcohol solutions. For alcohol, these
11 proofs generally represent the equivalent of beer,
12 fortified wine, and hard alcohol.

13 As you can see, the PK profile of Ventrela
14 was not affected by ingesting alcohol. However,
15 Ventrela, like all opioids, should not be taken
16 with alcohol to the potential safety risk of the
17 additive CNS depression.

18 Before we move into the Category 2 PK
19 profiles after manipulation for oral and intranasal
20 routes, I'd like to take a moment to introduce the
21 relationship between pharmacokinetics and drug
22 liking.

1 The FDA guidance recognizes the rate and
2 extent of rise in drug concentration as important
3 contributors to abuse potential. This can be
4 measured based on assessments of early exposure,
5 which may be most interesting to abusers.

6 These parameters include early plasma
7 concentration or partial area under the curve, as
8 well as traditional parameters such as Cmax and
9 Tmax. Let me show you what this means.

10 The blue line represents an example of a
11 typical PK profile of an extended-release
12 formulation. Following a successful manipulation,
13 a non-abuse-deterrent extended-release formulation
14 can be converted into an immediate release of the
15 drug, represented by the red line.

16 As you would expect, the earlier Tmax and
17 the higher Cmax, the more abusers tend to like the
18 product.

19 Now, let's look at the PK of Ventrela if an
20 abuser attempted to overcome the abuse deterrence.

21 The first oral Category 2 PK study,
22 study 1079, characterized the PK of intact and

1 manipulated Ventrela compared to Vicoprofen. This
2 study was a randomized, open-label, crossover
3 design in healthy volunteers. The dark blue line
4 represents the PK profile for intact Ventrela ER.

5 Consistent with its ER properties, the
6 intact Ventrela showed a late Tmax of about seven
7 hours and a low Cmax. In comparison, both
8 manipulated and intact immediate-release Vicoprofen
9 had dramatically higher Cmax and earlier Tmax,
10 shown in red and yellow.

11 Conversely, the Cmax for manipulated
12 Ventrela, shown in light blue, was lower than the
13 intact or crushed IR product. The Tmax for
14 manipulated Ventrela was also later than it was for
15 the intact and crushed Vicoprofen.

16 The next study, study 1085, was a combined
17 Category 2 and 3 oral, randomized, double-blind,
18 placebo-controlled, crossover study using
19 manipulated Ventrela compared with intact Ventrela
20 and hydrocodone API as the control. Following
21 administration of intact Ventrela, again, Cmax
22 remained low, with a Tmax of about seven hours.

1 As with the previous study, hydrocodone
2 plasma levels, in red, rose rapidly to a higher
3 Cmax following administration of hydrocodone API.
4 In contrast, Ventrela retained significant
5 extended-release properties even when manipulated.
6 Peak concentrations for manipulated Ventrela were
7 also lower as compared to hydrocodone API. The
8 Tmax for manipulated Ventrela was much later,
9 occurring at four hours post-dose.

10 The third study, study 132, was a combined
11 Category 2 and 3 randomized, double-blind, placebo-
12 controlled, crossover intranasal study. Here,
13 manipulated Ventrela was compared to hydrocodone
14 API, as well as Zohydro ER, which was a non-abuse-
15 deterrent, extended-release hydrocodone product
16 that became available just prior to the start of
17 the study.

18 Consistent with the oral studies, intact
19 Ventrela administered orally had an extended-
20 release profile and both comparators, hydrocodone
21 API and manipulated Zohydro ER, showed an
22 immediate-release profile. We, again, see that

1 manipulated Ventrela administered intranasally had
2 a slower rise to a lower Cmax and a longer Tmax,
3 maintaining extended-release properties following
4 manipulation.

5 In summary, Category 2 PK results
6 demonstrate that Ventrela retains ER properties
7 following manipulation, as would be suggested by
8 the Category 1 studies. When Ventrela is
9 manipulated for oral or intranasal administration,
10 the extended-release properties result in lower
11 Cmax and later Tmax.

12 This resulted in lower early hydrocodone
13 exposure as compared to non-abuse-deterrent
14 controls and immediate-release formulations. The
15 rate and extent of rise in drug concentration are
16 important contributors to abuse potential.

17 With this in mind, let me now present the
18 Category 3 human abuse potential results. There
19 were two Category 3 human abuse potential studies,
20 an oral and an intranasal study. Before presenting
21 the results, I'd like to note that the study
22 designs were consistent with regulatory guidance

1 and accepted practice for abuse-deterrent studies.

2 They were randomized, double-blind, placebo-
3 controlled, crossover studies in non-dependent,
4 recreational drug abusers. Both studies used Emax,
5 or peak score, as the primary endpoint. The
6 bipolar visual analog scale of at-the-moment drug
7 liking was used, as recommended in the FDA
8 guidance.

9 An additional primary endpoint of overall
10 drug liking was used in the intranasal study. A
11 number of secondary PD endpoints were also
12 collected in both trials. These included
13 willingness to take the drug again and good
14 effects, in addition to other subjective scales.

15 These self-reports, including the primary
16 endpoint, are the accepted endpoints to evaluate
17 the abuse potential of drugs. I'll now present the
18 data from each study.

19 In the oral Category 3 study, we compared
20 the abuse potential of intact and manipulated
21 Ventrela to placebo and hydrocodone API as the
22 control. This graph shows the bipolar drug-liking

1 results, where 50 indicates neither liking nor
2 disliking and 100 indicates strong liking. As
3 shown here, we see that mean liking scores for
4 placebo and intact Ventrela remained at
5 approximately 50, meaning no change in drug liking.

6 As expected, we see that mean scores rose
7 quickly for hydrocodone API, demonstrating
8 increased drug liking. These scores remained in
9 the liking range of the scale between 45 minutes
10 and approximately six hours after administration.
11 Consistent with the PK profiles in the Category 2
12 studies, the pharmacodynamic profile of manipulated
13 Ventrela showed a slower rise in drug-liking scores
14 compared to hydrocodone API.

15 Peak drug liking was also lower with
16 manipulated Ventrela. The oral study's primary
17 endpoint of drug liking was also met. There was a
18 statistically significant reduction in drug liking
19 Emax for manipulated oral Ventrela compared to
20 hydrocodone API.

21 Consistent with the time course graph, Emax
22 for intact Ventrela ER was also significantly lower

1 than hydrocodone API and similar to placebo. In
2 addition to the primary endpoint, highly relevant
3 key secondary endpoints, such as overall drug
4 liking, also showed significantly lower effects of
5 manipulated and intact Ventrela compared to the
6 hydrocodone API control.

7 When looking at the secondary endpoint of
8 take drug again, we also showed significantly lower
9 effects.

10 I will now show the results of the
11 intranasal abuse potential study. In this study,
12 we compared the abuse potential of intact and
13 manipulated Ventrela to hydrocodone API, placebo,
14 and manipulated Zohydro ER. Consistent with the
15 oral study, placebo and intact Ventrela showed no
16 clinically relevant change in drug liking.

17 In comparison, hydrocodone API, in red, and
18 manipulated Zohydro, in yellow, demonstrated
19 relatively rapid increases in drug liking, as might
20 be expected from an immediate-release product.
21 Again, we see lower drug liking for manipulated
22 Ventrela.

1 When administered intranasally, Ventrela was
2 associated with a slower rise in drug liking, with
3 a lower peak effect, compared to hydrocodone API
4 and manipulated Zohydro ER.

5 This slide shows the primary endpoint in the
6 intranasal human abuse potential study with each
7 test product. There was a statistically
8 significant reduction in drug liking for
9 manipulated intranasal Ventrela compared with both
10 the hydrocodone API and manipulated Zohydro.

11 As in the oral study, the intact Ventrela
12 drug liking was similar to placebo. The intranasal
13 study had an additional primary endpoint of overall
14 drug liking, which also met statistical
15 significance, when Ventrela ER and manipulated
16 Ventrela were compared to hydrocodone API and
17 manipulated Zohydro ER.

18 In addition, another relevant endpoint of
19 take drug again showed similar statistical
20 significance when Ventrela ER intact and
21 manipulated were compared to hydrocodone API.

22 This table summarizes the results of the

1 primary and key secondary endpoints. In addition
2 to the statistically significant differences in the
3 endpoints discussed thus far, we also saw
4 statistical differences in the secondary endpoints
5 of good effects and any effects.

6 The totality of Category 3 data support that
7 Ventrela ER may have a meaningful impact on abuse
8 in the real-world setting.

9 To summarize, the Category 3 pharmacodynamic
10 results were consistent with the Category 2
11 pharmacokinetic results.

12 The human abuse potential studies
13 demonstrated significantly lower drug liking for
14 manipulated Ventrela compared with the non-abuse-
15 deterrent comparators. It also showed consistent
16 results across other relevant endpoints, including
17 overall drug liking and willingness to take the
18 drug again.

19 These data demonstrate that Ventrela shows
20 abuse deterrence of manipulated tablets for the two
21 most common routes of hydrocodone abuse.

22 Thank you. Dr. Malamut will now present the

1 summary and benefit-risk profile.

2 **Applicant Presentation - Richard Malamut**

3 DR. MALAMUT: Thank you, Dr. Webster. I'll
4 briefly summarize our data and address our overall
5 benefit-risk.

6 Clinical data has demonstrated significant
7 pain relief compared to placebo in study 3103 and
8 clinical data from our phase 3 studies demonstrate
9 a safety profile typical of opioid products.

10 This data from our phase 3 program, when
11 combined with previous findings for the reference
12 drug, Vicoprofen, support efficacy and safety of
13 Ventrela for patients with chronic pain. Our
14 Category 1 in vitro studies demonstrated that
15 Ventrela maintained its extended-release profile,
16 even after applying techniques, methods, and
17 practices known to be used for abuse.

18 Following the most likely methods of
19 chemical and physical manipulation, Ventrela ER
20 demonstrated physical and chemical properties
21 expected to deter abuse via the oral, intranasal,
22 and intravenous injection routes. These findings

1 suggest that Ventrela ER will be less attractive
2 for abuse.

3 In Category 2 PK studies, Ventrela ER was
4 shown to retain extended-release properties
5 following manipulation. These studies demonstrated
6 that when manipulated for oral or intranasal abuse,
7 Ventrela exhibited a lower extent and rate of rise
8 in hydrocodone concentration, lower C_{max}, and later
9 T_{max} than non-abuse-deterrent opioids.

10 Finally, our Category 3 pharmacodynamic
11 studies were consistent with the Category 2
12 pharmacokinetic studies. The human abuse potential
13 studies demonstrated that manipulated Ventrela
14 maintains its abuse-deterrent properties and
15 resulted in reduced human abuse potential for the
16 two most common routes of abuse, oral and
17 intranasal.

18 It also showed consistent results across
19 other relevant endpoints, including significantly
20 lower overall drug liking and willingness to take
21 the drug again. Importantly, abuse deterrence is
22 expected to be confirmed in post-marketing, real-

1 world abuse Category 4 studies.

2 Teva is committed to responsible pain
3 management, while protecting the overall public
4 health. Our overall goal is to promote appropriate
5 opioid use. We will maintain our high level of
6 commitment to internal audits, training, and
7 compliance.

8 Teva will also join the extended-release,
9 long-acting opioid analgesic REMS program, and Teva
10 will participate in the 11 shared FDA-mandated
11 observational post-market requirement studies, as
12 all Ventrela-specific PMR studies.

13 In summary, we believe that Ventrela ER has
14 a positive benefit-risk profile for patients who
15 suffer from chronic pain. Aligned with the FDA
16 guidance, the goal of an abuse-deterrent opioid is
17 to create an abuse deterrent and not an abuse-proof
18 product.

19 Across the Category 1, 2, and 3 studies,
20 Ventrela ER consistently demonstrated statistically
21 significant and clinically meaningful reductions in
22 risk for abuse when compared to non-abuse-deterrent

1 products.

2 Ventrela provides effective management of
3 pain severe enough to require daily, around-the-
4 clock, long-term opioid treatment and for which
5 alternative treatment options have been inadequate.

6 Our data is consistent with the safety
7 profile of existing extended-release opioid
8 analgesics. We strongly believe that access to
9 effective abuse-deterrent opioids for people with
10 pain is needed, while still protecting the wider
11 public health.

12 Ventrela ER will offer patients and
13 healthcare providers an option to adequately and
14 safely manage chronic pain, while at the same time
15 providing part of the solution to the current
16 public health issue of prescription opioid abuse.

17 Thank you. We're now pleased to take your
18 questions.

19 **Clarifying Questions**

20 DR. BROWN: Thank you for your presentation.
21 Are there any clarifying questions for Teva?
22 Please remember to state your name for the record

1 before you speak.

2 If you can, please direct questions to a
3 specific presenter.

4 Dr. Emala?

5 DR. EMALA: Hi, Charles Emala. I have a
6 question for Dr. Webster and then Dr. Moe.

7 Dr. Webster, in the Category 3 studies, the term
8 "manipulation" is used a lot. Could you clarify
9 what the manipulation was?

10 DR. MALAMUT: Dr. Webster, can you discuss
11 the manipulation of our products? Actually, before
12 Dr. Webster, let me have Mary Bond address that
13 question first, if I may.

14 MS. BOND: Good morning. Mary Bond,
15 clinical pharmacology at Teva. For our Category 3
16 studies, in the oral study, we utilized Tool F and
17 in the intranasal study, we utilized Tool C.

18 DR. EMALA: So that was without any kind of
19 extraction.

20 MS. BOND: Correct.

21 DR. EMALA: Thank you. That leads to my
22 follow-up question for Dr. Moe related to slide 45

1 in the presentation. I'm trying to reconcile the
2 data in this slide with the data presented in the
3 briefing document.

4 This slide shows Tool A in Solvent H at 30
5 minutes released 10 percent. Is it possible to
6 pull up the briefing document, Figure 6, from the
7 sponsor?

8 That 10 percent at 30 minutes is shown in
9 Figure 6 to go to 62 percent. I'm trying to
10 reconcile the difference. I assume because
11 agitation was included in the figure in the
12 briefing document. And then that 62 percent goes
13 to 89 percent extraction at two hours in Solvent H.

14 My question is Solvent H, which appears to
15 be widely available to a potential abuser, as does
16 the temperature and tool used in this method, I'm
17 curious about the volume used here and whether it
18 was considered whether drying this extraction could
19 then present the drug in a way that could be
20 altered for not only oral, but other routes of
21 abuse.

22 DR. MALAMUT: Dr. Moe, may I put you to the

1 microphone to address those questions, please?

2 DR. MOE: Actually, the purpose of the
3 experiments shown here in this and the other slide
4 are -- really, for somebody who first manipulates
5 the product, they dissolve it up for subsequent
6 ingestion of that solution. The types of tests
7 that you're referring to, where you then isolate
8 that solid from that solution, is actually the next
9 type of study, what we call the advanced solvent.

10 DR. EMALA: I'm sorry. I asked a long
11 question. But the first step here would be putting
12 it in Solvent H with agitation for 30 minutes to
13 two hours.

14 DR. MOE: Correct.

15 DR. EMALA: Potential oral ingestion. And
16 my follow-up question to that was would it
17 subsequently be possible to then dry it for
18 alternative modes of use.

19 DR. MOE: Right. Certainly, somebody could
20 do that. But, again, when we were trying to do
21 that mechanism, the chemical extraction studies go
22 after that, but yes, somebody could also do that,

1 yes, with these solutions.

2 DR. EMALA: Do we know the volume that that
3 study was done in? Is it even practical to think
4 about drying that solvent? If it's a large volume,
5 I would think it would be impractical to try to dry
6 it.

7 DR. MOE: I'm not sure I can talk volumes in
8 the open session.

9 DR. EMALA: Thank you.

10 DR. HERTZ: This is Sharon Hertz. You can
11 comment in general in terms of it being a large or
12 small volume.

13 DR. MOE: Well, it's not on the order of a
14 bathtub, but it would certainly be a time-consuming
15 process to dry it off.

16 DR. BROWN: Dr. Choudhry?

17 DR. CHOUDHRY: This question is for
18 Dr. Webster, at slide 60. I'm just curious about
19 the choice of comparison between the oral studies
20 and the intranasal studies. Here, we have data
21 comparing Ventrela to hydrocodone, whereas in the
22 intranasal studies, we also add in then the

1 comparison to Zohydro.

2 I'm just curious if you can comment a little
3 bit on the choice of comparison here and why, in
4 the oral study, the Zohydro was not included.

5 DR. MALAMUT: Yes. Quite simply, Zohydro
6 did come to market just prior to initiating the
7 nasal human abuse potential study, so we did
8 include it in that study. Hysingla was not
9 available at the time of these studies.

10 DR. BROWN: Dr. Morrato?

11 DR. MORRATO: Yes. This is Elaine Morrato.
12 I had two questions, I think one for Dr. Moe and
13 the other one for Dr. Webster. So the one for
14 Dr. Moe, I'm trying to better understand the
15 chemical mechanism of action as the basis for the
16 abuse deterrent.

17 I do understand, from what you've mentioned
18 in the briefing document, that it's not intended to
19 be physically difficult to manipulate.

20 You quote that, "The rational selection of
21 excipients in manufacturing process steps are
22 what's the barrier," I was hoping that you could

1 elaborate a little bit more to help us understand
2 the scientific basis.

3 DR. MALAMUT: Dr. Moe, may I invite you to
4 the microphone?

5 DR. MOE: I'm going to talk generally so,
6 hopefully, we can get to a full understanding here.
7 We mentioned in the presentation that there's three
8 layers. So what we start with is the drug itself,
9 the hydrocodone, and it's combined, first, with two
10 different polymers.

11 It's important that they are different
12 polymers at the very inner part of these, because
13 they're different solubilities. And the concept
14 there is they're radically different, so one is
15 going to be more soluble in ethanol, one is going
16 to be more soluble in water.

17 That way, it's going to pose a problem no
18 matter what type of that kind of solvent. One of
19 them is going to gel and then make it, because
20 they're very highly viscous.

21 Then, beyond that, we take those particles
22 and we coat them with a barrier, and that barrier

1 is actually a very strong barrier. But it's
2 interesting, because the inner granules are
3 actually pliable. They're, I'll just say, squishy.
4 And then you have a nice, hard coating around that.

5 Both of these impart some measures of
6 extended release, but they're all high viscosity.
7 When you start extracting the layers down, it's
8 going to be hard to get to that raw API.

9 Then, on top of that, now we take thousands
10 of these little particles and we incorporate that
11 into the tablet matrix.

12 The tablet matrix itself adds some more
13 high-viscosity polymers, and so that's especially
14 important when you grind it up and try to extract
15 it into small volumes for IV or something like
16 that. It's now everything, all the way down.

17 When an abuser is going to try to crush
18 this, he may get the illusion of I have crushed it,
19 I have beaten it, and yet you have these very hard
20 particles in there that can survive that, still
21 maintain an extended-release profile, and show an
22 improvement over a non-abuse-deterrent, as we have

1 shown across the range of the Category 1 studies.

2 DR. MORRATO: Thank you. The core, then,
3 when you have the three particles at the core, are
4 they agglomerated or are they just sort of --

5 DR. MOE: Yes. So the schematic we had
6 shown earlier was simply that. It is a schematic.
7 Certainly, they all start like that. They're not
8 perfectly spherical like that. But you have the
9 drug itself, which is a micronized drug, very
10 small. You have the polymers. One of them is
11 actually sprayed on as a solution and the other one
12 based on the solvent combination that we use. It's
13 not a pure solvent either one way or the other.

14 The whole thing ends up being more like a
15 very small piece of chewing gum. It's all
16 intertwined. It's certainly not going to look like
17 that. It's going to look like just a gooey mess.

18 Does that work?

19 (Laughter.)

20 DR. MORRATO: Yes. In other words, the
21 picture that we saw made it look like if I were to
22 take an over-the-counter product and break up the

1 capsule and I get all these little pieces or if I
2 go to get my Dippin' Dots and I have all the little
3 dots of ice cream and they're all just -- that's
4 the picture we saw.

5 DR. MOE: Yes.

6 DR. MORRATO: What you're saying is that
7 they're more chemically intertwined with one
8 another. It's not like you can easily separate at
9 the core..

10 DR. MALAMUT: Yes. They really are.
11 They're, I can't say molecularly dispersed, because
12 that's not quite fair, but again, the drug itself
13 does start out at the micron size. At the micron
14 size, they're all very much intertwined and they're
15 also a little bit irregular, too. When the next
16 coating goes over that, it fills in the gaps and
17 you end up with a spherical particle at the end,
18 but everything inside there is all pretty
19 complicated.

20 DR. MORRATO: Thank you for clarifying. My
21 other question was for Dr. Webster and it has to do
22 with the Category 3 studies. And I'm just

1 wondering if you might comment on -- I mean, it
2 makes a very nice story where the intact tablet is
3 worse than placebo in terms of abuse deterrent,
4 manipulated somewhere between that, and the
5 controls, and so forth.

6 But I also recognize that this is a
7 qualified selected user group that was in these
8 studies. So saying that it's equivalent to placebo
9 makes me wonder, all right, what's going on there,
10 because we know in the efficacy studies, it's
11 working.

12 Is it really abuse deterrent in the broad
13 sense, I guess, or is it really abuse deterrent
14 among those that are already recreationally using
15 the product?

16 I'd like to hear your thoughts on how we
17 best interpret the generalizability of that
18 information.

19 DR. MOE: I'd like Dr. Webster to come to
20 the microphone just to talk about our patient
21 population and a bit about the generalizability of
22 these studies.

1 DR. WEBSTER: So if I understand your
2 question correctly, you are trying to understand
3 why, when it's not manipulated, it's like a
4 placebo, but when it's -- and the population, how
5 that fits with the population.

6 First, the population is screened. It's
7 basically an enriched population. They have to be
8 given hydrocodone and they have to demonstrate a
9 significant liking. They have to have a 15-
10 millimeter positive response above placebo. So if
11 there's a 5 placebo, you have to have 20, and
12 that's a fair distance already on that scale of 50
13 to 100.

14 But they are non-dependent and they are
15 given naloxone, as you know. They have not
16 increased tolerance. They're going to be
17 sensitive, but they're of the type of people who
18 like the effect, so they're sensitive.

19 This population may be the type of people
20 that need a little bit more drug in order to push
21 it up, but that would be genetically based, not
22 because of exposure, because just taking it

1 irregularly, which is what they have to do to be
2 able to not be dependent when they come in, does
3 represent the people who use it.

4 That's the whole purpose. For many of us
5 who don't use it, we may get nauseated from an
6 opioid and that's why we don't use it. It's the
7 negative effects. But those who like it, this is,
8 I think, very typical of the response of those who
9 like these drugs and want to abuse it, how they
10 would respond.

11 DR. MORRATO: The deterrence is among those
12 that are liking and wanting to abuse as opposed
13 to --

14 DR. WEBSTER: I'm sorry. I can't hear you.

15 DR. MORRATO: Yes. When we say abuse
16 deterrent orally, we're really saying orally among
17 a subset of people who are already using it.

18 DR. WEBSTER: Well, I think it's deterrent.
19 We don't measure all of the normal population who
20 don't like opioids, because they don't need the
21 deterrent. They're not going to take it. What we
22 want to do is prevent --

1 DR. MORRATO: But I'm talking about patients
2 who are taking it chronically. I understand it's a
3 spectrum.

4 DR. WEBSTER: Right.

5 DR. MORRATO: Maybe you might have other
6 information. What proportion of patients, then,
7 are routinely being screened that then get selected
8 for this study? How hard is it to find these kinds
9 of study subjects once they've identified as a
10 recreational user?

11 DR. WEBSTER: It varies a little bit,
12 depending on the molecule that we're looking at and
13 what the thresholds are. There are a lot of
14 variables. But generally, about 50 percent of the
15 people that screen get enrolled into the study.
16 You'll have 30 percent or so, maybe even 50 percent
17 depending, of those fail screening and then they'll
18 fail the discrimination phase, and then they're
19 enrolled. Fair enough?

20 DR. MORRATO: Yes. Thank you very much for
21 clarifying.

22 DR. BROWN: Dr. Bateman?

1 DR. BATEMAN: Brian Bateman. This question
2 is for Dr. Malamut and relates to slide 46. I'm
3 wondering if you can review for us the results from
4 the aqueous extraction studies that were done with
5 the combinations of stressors that yielded greater
6 than 80 percent release.

7 I think it would be useful to have a sense
8 of how complex these extraction methods are. And I
9 understand they don't need to be in the coated
10 form, but is it a combination of temperature,
11 agitation, and particular solvents?

12 DR. MALAMUT: Dr. Moe, can you clarify our
13 procedure used for our extraction techniques?

14 DR. MOE: Here are the specific conditions
15 up on the screen. Again, this is first
16 manipulated. And as we showed with the oral
17 ingestion, when you take specifically the powder,
18 you can't defeat it. And then we started different
19 extractions.

20 As you ramp them up, these are, again, the
21 set of conditions that do achieve greater than 80
22 percent of drug release following manipulation at a

1 half-hour outside the body.

2 DR. BROWN: Dr. Walsh?

3 DR. WALSH: Thank you. Sharon Walsh. I
4 have several questions, probably all which should
5 be directed to Dr. Webster, related to the abuse
6 potential studies. My first one is just to follow
7 up on Dr. Morrato's question. I'm wondering what
8 the qualification dose was used in the
9 qualification phase.

10 My second question is that I'm somewhat
11 surprised by the absence of any measure of error
12 for all the time-action figures for the dynamic and
13 the kinetic data, and I'm wondering whether or not
14 we can see the dynamic outcomes with some standard
15 error of the mean shown on the figures to give us
16 some idea of the individual variability in
17 response.

18 Then my final question goes back to the
19 subject characteristics in the study, and I'm just
20 wondering if you can tell us, for the oral and
21 intranasal Category 3 studies, more about the
22 recent opioid use history with respect to frequency

1 and route for the people that were enrolled and
2 whether or not their use was verified objectively
3 with urinalysis.

4 DR. MALAMUT: Mary Bond, can you address
5 part of those questions, our qualification dose and
6 characteristics of the enrolled?

7 MS. BOND: Sure. The qualification dose in
8 each of the Category 3 studies was the same dose
9 that was administered in the treatment phase, and
10 that was a dose of 45 milligrams.

11 Can you repeat the part of the question
12 about the actual population and verifying their
13 usage, et cetera?

14 DR. WALSH: Yes. In the briefing document,
15 the participants were described as recreational
16 drug abusers and it didn't really specify any
17 details about their actual opioid use histories,
18 which I think is relevant here. So if you have
19 detailed information on frequency of use, route.

20 MS. BOND: Yes. What we would require per
21 our inclusion/exclusion criteria is that these
22 individuals have a history of use at least 10 times

1 in their lifetime, at least once in the past 12
2 weeks, that they have a preference for opioids, and
3 that they use via the route under study.

4 DR. MALAMUT: Then just to clarify your
5 question on additional statistical analyses, it was
6 for Category 3 studies.

7 DR. WALSH: Yes, for Category 3. I think
8 it's more important for the Category 3 and it's not
9 really about the analysis. It's about the
10 presentation of the data, that none of the time-
11 action curves show any measure of individual
12 variability or the group variability. And I'm
13 wondering whether or not you have those figures
14 with the means and the standard errors represented.

15 DR. MALAMUT: Yes. I'd like to invite
16 Dr. Bond, Mary Bond. Can you come to the
17 microphone and address that question, please?

18 MS. BOND: We do have figures, also, with
19 error bars provided that are available for you to
20 view, we do have for our oral study. And then we
21 would also have a similar representation for our
22 intranasal study.

1 DR. BROWN: Can we see the intranasal study,
2 especially related to slide number 64? Could you
3 put that back up?

4 DR. MALAMUT: I'm sorry. What was that? I
5 couldn't hear you.

6 DR. WALSH: I think he'd like to see the one
7 with the error bars back up for the intranasal
8 study. I just want to clarify. Can you tell me,
9 are we looking at standard error of the mean? Now,
10 this is standard deviation. Okay.

11 DR. BROWN: Comments or questions about
12 that? Dr. Morrato?

13 DR. MORRATO: When you are saying that one
14 is better than the other, is that one based on a
15 qualitative assessment of the means or is it based
16 statistically? Can you remind us?

17 DR. MALAMUT: Yes. It's on means.

18 DR. MORRATO: Are there any that achieve
19 statistical significance in the studies?

20 DR. MALAMUT: Mary Bond, would you mind
21 addressing that?

22 MS. BOND: The conclusions are based upon a

1 statistical assessment of peak drug liking. So it
2 does look at the Emax of that at-the-moment drug-
3 liking score and the difference between treatments.

4 DR. WALSH: Could I just follow up on that?
5 Did you do a statistical analysis of the time-
6 action curves across conditions to compare them to
7 one another? Also, did you do a comparison of the
8 full area under the curve, exposures with the
9 statistical analysis? I saw the Emax analysis in
10 here. The data that we're looking at right now on
11 this slide for the full time course, how were those
12 data analyzed?

13 It's important, because those are actually
14 the raw data.

15 DR. MALAMUT: Mary Bond, can you address
16 that question, please?

17 MS. BOND: Just to be sure that I'm
18 understanding your question, are you asking if we
19 did a statistical comparison of the AUEC, the area
20 under the effect curves, for drug liking?

21 DR. WALSH: I was actually asking for either
22 time course analysis, time by condition, and the

1 full area under the curve analysis by condition.

2 I guess I just want to say one more thing,
3 that looking at those outcomes for the
4 pharmacodynamics with the error measures makes me
5 also want to look at the PK outcomes with the error
6 measures, if we can do that.

7 MS. BOND: As far as a time course analysis,
8 that was not performed. We did look at area under
9 the effect curve analyses. I'm not sure if we have
10 those data in a back-up slide that I may be able to
11 pull up to show you the results of those. We're
12 looking for AUEC compared to one another by
13 treatment.

14 We may need to provide some information
15 regarding that after the break for you.

16 DR. WALSH: That would be fine. Can you
17 define that? What is the AUC for the effect? How
18 far out does that go in your time curves?

19 MS. BOND: Yes. We measured the liking
20 measures and all of the endpoints, really, over 24
21 hours post-dose. We do have it for 24 hours.

22 DR. WALSH: The area under the curve that

1 we'll see is for 24 hours.

2 MS. BOND: Yes. That's correct.

3 DR. WALSH: Thank you. And just the PK
4 slides, if possible, with the errors.

5 MS. BOND: We do have those, as well.

6 DR. BROWN: Dr. Sprintz?

7 DR. SPRINTZ: Yes. I guess my first
8 question was initially --

9 DR. MALAMUT: Let's put that back up.

10 MS. BOND: As requested, these are the time
11 course curves per treatment for the intranasal
12 study, with error bars for standard deviation. And
13 we have the same, also, for the oral study, if you
14 would like me to put that up or hold a moment.

15 DR. BROWN: Dr. Sprintz?

16 DR. SPRINTZ: Thanks. My first question,
17 which may have been answered earlier, was,
18 initially, why did you not compare any of these
19 studies against the abuse-deterrent formulations of
20 the Zohydro ER. And I think you were saying it
21 wasn't available.

22 DR. MALAMUT: Yes. Again, when we conducted

1 the phase 3 studies you're referring to, Zohydro ER
2 was not available.

3 In fact, the only study that Zohydro ER was
4 available for was our intranasal human abuse
5 potential study.

6 DR. HERTZ: Just as a minor correction, we
7 don't recognize any of the Zohydro as having any
8 abuse-deterrent properties. We are aware that
9 there are different formulations, but none of them
10 have demonstrated abuse-deterrent properties that
11 are suitable for labeling, so we don't consider
12 Zohydro to be abuse deterrent.

13 There are six products currently abuse
14 deterrent that have labeling consistent with our
15 guidance. That's not one of them.

16 DR. SPRINTZ: Thank you. And then the other
17 abuse-deterrent formulation, which, I'm assuming,
18 of hydrocodone was not available at the time.

19 DR. MALAMUT: Hysingla, yes. It was not
20 available at the time of any of these studies.

21 DR. SPRINTZ: Thank you. I have one other
22 question for, I guess, Dr. Webster. In the patient

1 selections that you had, were they pain patients or
2 or non-pain patients?

3 DR. MALAMUT: Dr. Webster, can you address
4 that question, please?

5 DR. WEBSTER: They're not pain patients.
6 These are individuals that are just mostly college
7 students. These are healthy individuals who are
8 recreational drug users.

9 DR. SPRINTZ: Then the other question was
10 how did you monitor those patients to make sure
11 they weren't taking other meds, like benzos,
12 carisoprodol, or illicit?

13 DR. WEBSTER: Prior to being admitted or
14 during the testing?

15 DR. SPRINTZ: Both.

16 DR. WEBSTER: Regardless, it's always urine
17 drug testing. We do urine drug testing.

18 DR. SPRINTZ: You did do that throughout
19 this study.

20 DR. WEBSTER: Yes, yes. That's part of
21 screening. That's part of before screening and
22 entry. And if, in a study like this, they're

1 discharged, then when they come back in, they have
2 to be screened, as well.

3 DR. SPRINTZ: I got you. Thank you.

4 DR. BROWN: We're going to take a break now.
5 We're going to defer -- there are some other folks
6 that have questions and we're going to defer those
7 until this afternoon. Everybody is going to get
8 their opportunity to put questions to the Teva
9 group.

10 This will be a 15-minute break. Please
11 remember that there should be no discussion of the
12 meeting topic during the break amongst yourselves
13 or with any member of the audience. And we're
14 going to resume at 11:20.

15 (Whereupon, at 11:05 a.m., a recess was
16 taken.)

17 DR. BROWN: We're now going to proceed with
18 the FDA presentations.

19 **FDA Presentation - Joann Lee**

20 DR. LEE: Good morning. I'm Joann Lee, drug
21 utilization analyst in the Division of Epidemiology
22 within the Office of Surveillance and Epidemiology.

1 For the next few minutes, I'd like to briefly
2 present drug utilization patterns of hydrocodone
3 extended-release and other extended-release or
4 long-acting opioid analgesics from 2011 through
5 2015.

6 I shall provide context for the discussions
7 taking place today. First, I'll discuss the sales
8 distribution of extended-release opioid products,
9 followed by prescription utilization of hydrocodone
10 extended-release and other extended-release or
11 opioid analgesics, focusing on the outpatient
12 retail pharmacy settings.

13 I'll then present our findings on top
14 prescriber specialties of hydrocodone extended-
15 release, and finish with limitations and summary.

16 For this presentation, we'll focus on
17 hydrocodone extended-release, because today's
18 discussion involves another hydrocodone extended-
19 release product or Ventrela ER. We also looked at
20 other extended-release or long-acting opioid
21 products, as shown on this slide, which is the
22 opioid market into which Ventrela ER will be

1 introduced, if this drug is approved.

2 This opioid market includes methadone,
3 morphine, oxycodone, hydromorphone, oxymorphone,
4 and tapentadol, along with transdermal patches,
5 fentanyl and buprenorphine.

6 To determine the primary settings of care,
7 we used the IMS National Sales Perspectives
8 database to provide the sales distribution data of
9 hydrocodone extended-release products that were
10 sold from manufacturers and wholesalers into the
11 various settings of care.

12 Please do note these sales data are
13 nationally projected to all settings of care.

14 As displayed in this chart, 94 percent of
15 hydrocodone extended-release products were
16 distributed from manufacturers to retail settings.
17 Additionally, a majority of each of the other
18 extended-release or long-acting opioid products
19 that I described earlier and included in this
20 review were also distributed to the retail
21 settings.

22 Based on these sales data, we focused on the

1 U.S. outpatient retail pharmacies.

2 For the prescription data analysis that I'll
3 present next, we used the IMS Health National
4 Prescription database. This measures the
5 dispensing of prescriptions from retail pharmacies
6 into the hands of consumers through prescriptions
7 within the United States. These prescription data
8 can be stratified by prescriber specialty.

9 Now, to present our findings, this figure
10 shows the national estimated number of
11 prescriptions dispensed for the extended-release or
12 long-acting opioid analgesics through the U.S.
13 outpatient retail pharmacies from 2011 through
14 2015.

15 Let me draw your attention to the bottom of
16 this graph. As shown here, hydrocodone extended-
17 release products were initially marketed in the
18 United States starting in 2014. Therefore,
19 prescription data for these products are shown here
20 for the two-year [sic] time period from 2014 to
21 2015.

22 Since marketing of these hydrocodone

1 extended-release products, namely, Zohydro and
2 Hysingla, the uptake in prescriptions dispensed was
3 approximately 150,000 prescriptions in 2015. This
4 accounts for less than 1 percent of the
5 prescriptions dispensed for the extended-release
6 long-acting opioid analgesics market.

7 This chart shows the top prescribing
8 specialties for hydrocodone extended-release in
9 2015. Approximately 21 percent of hydrocodone
10 extended-release prescriptions were written by
11 family practice, general practice, and osteopathy,
12 followed by anesthesiology at 18 percent of the
13 prescriptions written and so on.

14 Also, pain medicine accounted for 10 percent
15 of the prescriptions written for hydrocodone
16 extended-release analgesic products. A couple
17 limitations to consider are that only outpatient
18 retail pharmacy was assessed. That is, inpatient
19 and mail-order data were not included in this
20 analysis. And the top specialties that prescribe
21 hydrocodone extended-release were captured as
22 reported by the prescription data.

1 To summarize, this is marketing of
2 hydrocodone extended-release products Zohydro and
3 Hysingla that began in 2014, the uptake in
4 prescriptions dispensed was approximately 150,000
5 prescriptions in 2015, accounting for less than 1
6 percent of the prescriptions dispensed for the
7 extended-release or long-acting opioid analgesics
8 market.

9 The top prescriber specialties, again, were
10 family practice, general practice, osteopathy,
11 followed by anesthesiology for the hydrocodone
12 extended-release products.

13 With that, Dr. Levin will present next.
14 Thank you for your attention.

15 **FDA Presentation - Robert Levin**

16 DR. LEVIN: Good morning. My name is
17 Dr. Robert Levin. I am a medical officer in the
18 Division of Anesthesia, Analgesia, and Addiction
19 Products. This morning, I will be talking about
20 the following topics related to the proposed abuse-
21 deterrent labeling, an overview of Section 9.2 Drug
22 Abuse; class language on drug abuse; risks specific

1 to abuse of Ventrela ER; abuse deterrence studies,
2 including in vitro testing and clinical human abuse
3 potential studies; the abuse potential endpoints of
4 drug liking and take drug again; oral and
5 intranasal abuse potential studies; and, a summary
6 of the product's abuse-deterrent properties.

7 The extended-release long-acting opioids as
8 a class contain the following language about abuse
9 potential. This same language will be included in
10 the proposed label for Ventrela ER. Ventrela ER
11 contains hydrocodone, a substance with a high
12 potential for abuse, similar to fentanyl,
13 methadone, morphine, oxycodone, and oxymorphone.

14 Ventrela ER can be abused and is subject to
15 misuse, abuse, addiction, and criminal diversion.
16 The high drug content in the extended-release
17 formulation adds to the risk of adverse outcomes
18 from abuse and misuse.

19 All patients treated with opioids require
20 careful monitoring for signs of abuse and
21 addiction.

22 In addition, the following information in

1 the label is more specific to Ventrela ER.

2 Ventrela ER is for oral use only. Abuse of
3 Ventrela ER poses a risk of overdose and death.

4 The risk is increased with concurrent use of
5 alcohol and other central nervous system
6 depressants. Taking cut, broken, chewed, crushed,
7 or dissolved Ventrela ER enhances drug release and
8 increases the risk of overdose and death.

9 You have heard about the in vitro laboratory
10 studies that were done to explore the different
11 methods that might be employed to defeat the
12 extended-release and the abuse-deterrent properties
13 of Ventrela ER. The following statements in the
14 label will summarize the results of those in-vitro
15 studies.

16 Physical and chemical tablet manipulation
17 studies were performed to evaluate the success of
18 different extraction methods in defeating the
19 extended-release formulation of Ventrela ER.

20 Results support that Ventrela ER resists
21 crushing, breaking, and dissolution using a variety
22 of tools and solvents and retains some extended-

1 release properties despite manipulation. When
2 Ventrela ER was subjected to attempts at small
3 volume extraction, the resulting material was
4 viscous and resisted passage through a hypodermic
5 needle.

6 You have also heard about the two human
7 abuse liability studies that were performed with
8 Ventrela ER. The first explored the potential for
9 oral abuse, and the second explored the potential
10 for intranasal abuse. The results for the
11 following two endpoints will be summarized in the
12 labeling for both studies.

13 Take drug again was measured on a bipolar
14 100-point visual analog scale, where 0 represents
15 strongest negative response, definitely would not
16 take the drug again, 50 represents a neutral
17 response, and 100 represents the strongest positive
18 response, definitely would take the drug again.

19 Drug liking was measured on a bipolar 100-
20 point visual analog scale, where 0 represents
21 maximum disliking, 50 represents a neutral
22 response, and 100 represents maximum liking.

1 The next three slides summarize the proposed
2 labeling to describe the oral abuse potential
3 study. As you heard, the study was a randomized,
4 double-blind placebo- and active-controlled, four-
5 period, crossover study in non-dependent opioid
6 abusers. Thirty-five of the 49 enrolled subjects
7 completed all treatment conditions, 45 milligrams
8 of Ventrela ER intact, 45 milligrams of Ventrela ER
9 finely crushed, 45 milligrams of hydrocodone
10 bitartrate powder, immediate release, and placebo.

11 The oral administration of finely crushed
12 Ventrela ER was associated with statistically
13 significantly lower mean scores for drug liking and
14 take drug again, p less than 0.001 for both,
15 compared with powdered hydrocodone, as summarized
16 in the following table.

17 This table will be included in the label and
18 summarizes the results for four treatment groups.
19 Note that the mean take drug again score for the
20 finely crushed Ventrela ER is 55.9, which you can
21 see in the second row from the bottom, and is less
22 than the immediate-release hydrocodone powder,

1 75.1.

2 A similar pattern is seen for the means of
3 drug liking. This figure will be included in the
4 label to summarize the percent reduction in drug
5 liking for finely crushed Ventrela ER compared to
6 the immediate-release hydrocodone powder. The
7 Y-axis represents the percent of subjects attaining
8 a percent reduction greater than or equal to the
9 value on the X-axis.

10 For example, about 80 percent of subjects
11 experienced some reduction in drug liking with
12 Ventrela ER compared to immediate-release
13 hydrocodone, and about 20 percent experienced no
14 reduction. For about 70 percent, the reduction was
15 30 percent or more; and, for about 60 percent, the
16 reduction was 50 percent or more.

17 The next three slides summarize the proposed
18 labeling to describe the intranasal abuse potential
19 study. As you heard, the study was a randomized,
20 double-blind, placebo- and active-controlled study
21 in non-dependent opioid abusers. Thirty-four of
22 the 45 subjects enrolled completed all treatment

1 conditions. Intranasal administration of 45
2 milligrams Ventrela ER finely milled, 45 milligrams
3 of hydrocodone bitartrate powder immediate release,
4 oral administration of 45 milligrams Ventrela ER
5 intact, and intranasal administration of placebo.

6 The intranasal administration of finely
7 milled Ventrela ER was associated with
8 statistically significantly lower mean and median
9 scores for drug liking and take drug again. P less
10 than 0.001 for both compared with powdered
11 hydrocodone, as summarized in the following table.

12 This table will be included in the label and
13 summarizes the results for three intranasal
14 treatment groups. Note that the mean take drug
15 again score for the finely crushed Ventrela ER is
16 67.5, which you can see under the Ventrela ER
17 column, the last column in the table, and is less
18 than the immediate-release hydrocodone powder,
19 75.5. A similar pattern is seen for the means of
20 drug liking.

21 This figure will be included in the label to
22 summarize the percent reduction in drug liking for

1 finely milled Ventrela ER compared to the
2 immediate-release hydrocodone powder.

3 The Y-axis represents the percent of
4 subjects attaining a percent reduction greater than
5 or equal to the value on the X-axis. For example,
6 about 75 percent of subjects experienced some
7 reduction in drug liking with Ventrela ER compared
8 to immediate-release hydrocodone, and 25 percent
9 experienced no change.

10 For 35 percent, the reduction was 30 percent
11 or more; and, for about 20 percent, the reduction
12 was 50 percent or more.

13 This summary of the abuse-deterrent
14 properties of Ventrela ER will appear at the end of
15 Section 9.2 of the label.

16 The in vitro data demonstrate that Ventrela
17 ER has physical and chemical properties that are
18 expected to deter intravenous abuse. The data from
19 the in vitro studies and clinical studies indicate
20 that Ventrela ER has physiochemical properties that
21 are expected to reduce abuse via the oral route and
22 via the intranasal route. However, abuse of

1 Ventrela ER by the intravenous, nasal, and oral
2 routes is still possible.

3 Additional data, including epidemiological
4 data, when available, may provide further
5 information on the impact of Ventrela ER on the
6 abuse liability of the drug.

7 This concludes my presentation.

8 **Clarifying Questions**

9 DR. BROWN: Thank you, Dr. Levin.

10 Are there any clarifying questions for the
11 FDA? Please remember to state your name for the
12 record before you speak. If you can, please direct
13 questions to a specific presenter. Dr. Choudhry?

14 DR. CHOUDHRY: Thanks. Niteesh Choudhry. I
15 have two quick questions for Dr. Lee and one for
16 Dr. Levin. Dr. Lee, I just wanted to confirm that
17 the IMS prescription audit data, since it comes
18 from retail pharmacies, does include cash
19 prescriptions, cash-paid prescriptions. That's
20 number one.

21 Number two, for Dr. Lee, I'm wondering if
22 you're aware of trends in utilization of other

1 abuse-deterrent products when they've come to
2 market. Do you have anything to speak about, using
3 IMS or other data? That's my question to you.

4 Dr. Levin, just to get it on the record and
5 you can comment, I'm just wondering. This follows-
6 up on something Dr. Walsh was getting at before,
7 this idea of what the right outcome is for a lot of
8 those studies, when we look at drug liking and
9 we're seeing statistics here and proposed labeling
10 around the Emax.

11 I'm just wondering if you could comment a
12 little bit about Emax versus area under the curve
13 versus some sort of other temporal trend
14 relationships.

15 Those are my three questions.

16 DR. LEE: For your first question, that is
17 correct. It included cash transactions. And for
18 your second question, we did not look into the
19 abuse-deterrent products. But because they're
20 newly approved, it should be fairly low. Does that
21 help?

22 DR. HERTZ: I'm going to respond to your

1 second part. Our approach to understanding or
2 thinking about abuse-deterrent products and the
3 studies has been growing over time as we've been
4 gaining experience in trying to sort through all
5 this.

6 We used studies that were previously used
7 predominantly for scheduling and establishing abuse
8 liability in a more basic sense, and we found that
9 study design useful as we decided how to try and
10 evaluate abuse-deterrent properties.

11 The division has worked closely with the
12 controlled substances staff, for instance, and we
13 had some earlier advisory committee meetings where
14 we discussed these evaluations, as well. I think
15 they go back to 2008 or 2009 when this was all even
16 newer.

17 What we have evolved and that's represented
18 in the guidance -- and I think we might have even
19 gone a little further in our thinking, even though
20 it's a fairly recent guidance, is when we think
21 about the outcomes that are commonly used, the
22 pharmacodynamic outcomes in the Category 3 studies,

1 we have drug liking, drug high, and take drug again
2 as the big three.

3 When we're dealing with a drug that's going
4 to be Schedule II, it's got abuse liability.

5 Right? When we say that, in general, abuse
6 deterrent is not the same as abuse proof, it's
7 because it has to deliver the opioid, so it's going
8 to have the abuse potential.

9 What are the characteristics of the product
10 that would suggest the likelihood of a deterrent
11 effect by one or more routes, and how do we
12 evaluate that? If the drug is less liked or
13 produces less high, that's probably good. But is
14 it good enough?

15 Then you get to the discussion of what's the
16 clinically relevant amount of difference, because
17 as we know, even from the efficacy and safety side,
18 sometimes a statistically significant difference in
19 an outcome measure doesn't necessarily mean it's a
20 clinically relevant difference for a variety of
21 reasons.

22 I think most of the folks on the committee

1 don't necessarily need me to go into that concept.
2 But it's the same thing for these outcomes. We
3 looked very hard at take drug again, because it
4 seems that if someone is less likely to take drug
5 again, it provides additional relevance. You're
6 asking someone, do you want to take it again. If
7 they actually want to take it less than the
8 comparator and they either like it less or it
9 creates less high, or in some settings perhaps with
10 aversive technology, it causes enough adverse
11 effects that, in spite of similar high or liking,
12 they still don't want to take it as much, then
13 perhaps that's a way to give the findings or the
14 product some kind of clinical relevance to the
15 other outcomes.

16 That's why we focus on the take drug again
17 piece more so perhaps than would be the case in an
18 actual study to assess whether, for instance, a new
19 molecular entity, what kind of abuse liability it
20 has.

21 Then in terms of whether we should use the
22 AUC or the Emax, a lot of the work that's been

1 done -- and I think that, perhaps, there's folks on
2 the committee who might be able to speak to this
3 even better, but it seems that -- and we've heard
4 over time about this, as well -- there are certain
5 characteristics of the profile, PK, but, also, the
6 PD profile that are attractive for the purposes of
7 abuse.

8 It is about the maximum effect and how
9 quickly that occurs. We look at Emax, because if
10 the only difference is it takes longer, but
11 everything else is the same, I think we feel that a
12 motivated abuser would potentially plan ahead and
13 maybe take the drug a little earlier if they want
14 to have an effect at a certain time in the evening
15 or what have you. So, yes, some of it is based on
16 assumptions.

17 But I think some of the assumptions we try
18 to tie into what's known about the behavior in the
19 context of intentional abuse of the opioid. We do
20 rely on Emax and we do heavily weigh the take drug
21 again to help us understand the clinical relevance
22 of the other parameters.

1 DR. BROWN: Dr. Gerhard?

2 DR. GERHARD: Toby Gerhard, Rutgers. This
3 is a question for FDA. I'm not quite sure for whom
4 at FDA. I would just be interested to put the
5 discussion regarding the abuse-deterrent
6 formulations in general in the context of the
7 opiate epidemic we heard about in the introduction
8 of this meeting.

9 To, obviously, only a proportion -- and I'm
10 not sure about the size of the proportion -- of the
11 issues that we have with opiates in the country are
12 amenable to being addressed with abuse-deterrent
13 formulations.

14 The intentional abuse is something that can
15 be addressed, but questions of addiction and other
16 types of misuse that aren't intentional abuse are
17 not.

18 Do we have any data regarding the magnitude
19 of the abuse issue within the larger context of the
20 opiate epidemic? And just as a quick follow-up, I
21 think the context, while it's certainly very useful
22 and important to have abuse-deterrent formulations,

1 the impression, obviously, that I think is
2 extremely important to avoid is that these
3 formulations would be, in a sense, safe opiates
4 that could be used without the concerns that we
5 generally would have in situations where that
6 doesn't apply at all.

7 Certain people, certain addiction issues,
8 and other misuse issues are just not addressed by
9 abuse-deterrent formulations.

10 I think that's the context of the question,
11 in my mind.

12 DR. HERTZ: I really want to answer that,
13 but I'm not going to, for two reasons. One is that
14 right now, I want us to focus on clarifying
15 questions. But, almost more importantly, I think
16 that's a question for the committee to discuss. We
17 have public statements about the role of abuse
18 deterrent, trying to promote abuse-deterrent
19 formulations as one element in, hopefully, the
20 programs of what we're trying to do.

21 I think there are a lot of layers in your
22 question and I think that is something we should

1 discuss in the context of some of the questions
2 this afternoon.

3 In terms of how much FDA weights abuse
4 deterrence over what I'll say is one element and we
5 don't believe that it's a fix-all one-size
6 solution, because we recognize that a Schedule II
7 drug will always behave like a Schedule II drug and
8 full mu opioid agonist will always have the
9 properties of a full mu opioid agonist.

10 The reasons why these aren't abuse-proof
11 formulations is because, as you can see, they are
12 meant to change the profile so that they're less
13 appealing for certain aspects. But it is a limited
14 ability to address the total issue that we're
15 facing in the country.

16 DR. GERHARD: Point well taken. But, still,
17 is there any quantitative data that FDA has that
18 relates to how much abuse there actually is in just
19 the utilization numbers, like what proportion of
20 opioids are abused versus misused or used according
21 to the label? Do we have any idea?

22 DR. HERTZ: We have a number of different

1 data sources and I can't get into it now. I don't
2 have it available. It has been presented in the
3 past. We did go over some of it recently, in May,
4 at the REMS advisory committee and, in particular,
5 we went into some of the challenges of answering
6 your question with specifics during the May 3rd and
7 4th advisory committee that we held. That was part
8 of our REMS, the extended-release and long-acting
9 opioid REMS review.

10 Again, I can't give you what you want.
11 You're asking really good questions, but I think
12 there's a lot of good information there.

13 DR. STAFFA: This is Judy Staffa. I'll add
14 to that. I think the answer to your question is
15 that we don't yet know. We don't know what
16 percentage of the abuse problem as a whole is
17 actually related to specific products or to
18 specific formulations of specific products, and a
19 lot of that has to do with the absence of good data
20 on that. But I do think, if you go back to
21 Dr. Lee's slides, the percentage, what we can know
22 is the uptake of abuse-deterrent products.

1 As Dr. Hertz mentioned, there are six
2 products that have been approved with abuse-
3 deterrent labeling and the uptake has been small.
4 If you look at that 150,000 prescriptions in the
5 first year or in 2015, that's divided between two
6 separate products, one of which has abuse-deterrent
7 labeling, which is Hysingla, and the other does
8 not.

9 That's comparable throughout the other six.
10 It doesn't matter. They're just not uptaking quite
11 a bit. And I know we've heard in other public
12 meetings about the cost and other aspects. And
13 again, at this point in time, we don't have data on
14 any of these products about how well they perform
15 in the real world and how well they deter the abuse
16 we expect them to deter. But that work is
17 underway.

18 I would say, to caveat, the only product
19 that actually does have appreciable use in this
20 space is the reformulated OxyContin, and you saw
21 that in the graph. That's the lion's share of this
22 class, actually.

1 DR. HERTZ: One last point is when we have
2 more specific product data coming in, we will come
3 back to you.

4 DR. BROWN: Dr. Bateman?

5 DR. BATEMAN: This question is for Dr. Levin
6 and it pertains to slide 13. The summary here
7 suggests that FDA's perspective is that the in
8 vitro studies indicate that the drug has properties
9 that are expected to reduce abuse via the oral
10 route.

11 But in the briefing document that we
12 received from FDA, on page 52, the memorandum
13 states, "The in vitro data submitted by the sponsor
14 is not sufficient to establish any significant
15 abuse deterrence by the oral route or its
16 superiority over the comparator extended-release,
17 single-entity hydrocodone product."

18 I was just hoping that Dr. Levin could
19 reconcile those statements and give us a little bit
20 of a sense of what FDA's thinking is on the in
21 vitro studies.

22 DR. LEVIN: If you're referring to the

1 second bullet, I think that it's a combined of the
2 in vitro and clinical studies together that led us
3 to that conclusion.

4 DR. HERTZ: We don't have a final label yet.
5 So it's still evolving. We tried, for a variety of
6 reasons, not -- there's no fault here. There's not
7 a problem that's underlying this, but just for a
8 variety of reasons, we don't have what we would
9 recommend or what we've agreed to as the final
10 language.

11 This is the kind of labeling we are
12 considering along with the company and you can
13 weigh in if you feel that -- and we'll discuss this
14 later. We want to hear what you think is the right
15 way to convey information. If this is somehow
16 unclear or misleading, let us know. We have
17 opportunity. There's no final action.

18 DR. BATEMAN: We should interpret this as
19 the proposed label and this memo as one reviewer's
20 perspective on the data that were submitted.

21 DR. HERTZ: Yes.

22 DR. BATEMAN: Okay.

1 DR. BROWN: Dr. Hertz, do you have any
2 comment on the information that we were provided
3 prior to coming to the meeting and the fact that
4 the statement was made that it didn't meet the
5 criteria for abuse deterrence?

6 DR. HERTZ: I'm sorry. I didn't quite
7 follow the question.

8 DR. BROWN: As Dr. Bateman said, the
9 statement was made in the prep documents that the
10 drug did not meet abuse deterrence criteria and the
11 labeling appears to be 180 degrees from that. I'm
12 just wondering if I'm missing something.

13 DR. HERTZ: I'd have to go back. The
14 specific statement about the in vitro not
15 supporting it, for oral, I think we agree, but I
16 think what we were trying to convey in the language
17 that was shown was that the clinical studies are
18 supportive potentially of a claim or for labeling,
19 if you agree.

20 But I think that more important than what
21 our conclusions might have been is what your
22 conclusions will be, because it's okay to disagree

1 with us. Clearly, I don't need to tell this
2 committee that. You folks are quite comfortable
3 disagreeing with us and that's good when we have a
4 difference of opinion.

5 I'll go back and double-check exactly what
6 that language is, but what Dr. Levin presented as a
7 possible labeling of the outcomes is one option,
8 but more importantly is ultimately what the
9 committee thinks the studies support and then if
10 there are suggestions for, with that in mind, what
11 we should convey, that's really, I think, what's
12 important.

13 DR. BROWN: Dr. Wesselmann?

14 DR. WESSELMANN: Ursula Wesselmann,
15 University of Alabama at Birmingham. I was
16 surprised that the data presented to us regarding
17 drug liking, if they would take the drug again,
18 were presented not differentiated between females
19 and males. Is there any reason for that? Because
20 the side effect profile can be different in males
21 and females.

22 I was wondering if one group actually had

1 little difference to the drug as it will be
2 formulated.

3 DR. HERTZ: I'm looking past to the sponsor
4 to see if they have any of these analyses available
5 by sex. I don't know if we do, but we'll double-
6 check, as well.

7 DR. MALAMUT: Hi. Richard Malamut. Just to
8 be clear, in the Category 3 studies you're
9 referring to, the human abuse potential studies, do
10 we have that data? We don't have that data by
11 gender.

12 DR. HERTZ: I'll check our reviews, as well,
13 and see if we can find that.

14 DR. BROWN: Dr. Perrone?

15 DR. PERRONE: Jeanmarie Perrone. First of
16 all, I think that the data that we're seeing with
17 Zohydro as a comparator, to me, reflects on our
18 decision made three or four years ago voting
19 against Zohydro and our concerns about it as having
20 tremendous abuse potential as a drug coming out for
21 the first time without acetaminophen in it and high
22 dose and our concern about it being comparable to

1 the epidemic that started with long-acting
2 OxyContin.

3 But going forward, if there is, for the data
4 that they did compare Zohydro that didn't have
5 abuse deterrence, but now there is an abuse-
6 deterrent comparable hydrocodone on the market,
7 when that was approved and it was approved compared
8 to hydrocodone IR, how did its relative abuse
9 deterrence compare to the data we are seeing?

10 In other words, if we go back into the
11 record and look at that meeting, what was their
12 relative change in liking thresholds or in
13 extraction, et cetera? In other words, how abuse
14 deterrent was that compared to this?

15 I know that's hard to do, but if they both
16 used hydrocodone IR as a comparator, how does that
17 compare?

18 DR. HERTZ: Are you asking about Hysingla?

19 DR. PERRONE: I guess. That's the only
20 abuse-deterrent hydrocodone that has been approved
21 that went through this process.

22 DR. HERTZ: I'll see if I can pull up the

1 label to show you what's in the current label, the
2 package insert. But we don't have slides for you.
3 I think that this is a challenge for us, as well,
4 understanding the relative effects across different
5 products.

6 Part of the challenge is when these get
7 approved and when they're under development,
8 they're not always timed so that we can get those
9 comparators done. And I almost don't want to say
10 this, but one can get a very general soft sense
11 using when there are common comparators in cross-
12 study comparisons.

13 But noting the amount of variability, noting
14 that these tend to be smaller studies, I would
15 caution any strong conclusions from cross-study
16 comparisons. But we can get a little sense when
17 there is a common comparator.

18 We'll try and pull up that label for you in
19 some format so you can see what those results were.

20 DR. PERRONE: That's great. I guess the
21 question is what do we do without a threshold to go
22 by set by the FDA guidance? If we get some general

1 sense that that was approved with a 90 percent
2 reduction in availability in some format and this
3 is 50 percent or however you want to
4 relatively -- then we'd want to continue to keep it
5 at a 90 percent threshold, if that's possible,
6 versus the any reduction equals abuse deterrence.
7 I guess that's my concern.

8 DR. HERTZ: That's our concern, as well.
9 And the lack of being able to come up with
10 quantitative standards is, in part, because it's a
11 constantly moving target now. It is still
12 qualitative, for the most part, and I'm not sure
13 that we'll ever get to a firm quantitative state.

14 It would be nice if we could have head-to-
15 head comparisons of abuse-deterrent formulations,
16 but I think we would potentially get stymied with
17 all these different durations about to come in and
18 then the next one prior was approved.

19 The staging of it could potentially grind it
20 to a halt if we were constantly trying to -- I'm
21 not entirely sure how to fix that, but I think your
22 point is very well taken. But we'll try and get

1 that other info up.

2 DR. PERRONE: Thank you.

3 DR. BROWN: Dr. Morrato?

4 DR. MORRATO: This is a follow-up question
5 that I think follows on the same conversation. In
6 just looking at the figure -- for
7 Dr. Levin -- Figure 2 and Figure 4 in the package,
8 I'm not sure of the slides, slide 12 or 9. I was
9 just going back to look at other labels with abuse-
10 deterrent formulations. This looks to be a pretty
11 standard graph.

12 But I'm just curious, given the conversation
13 around focusing on take drug again, the thinking of
14 the agency of this is a graph on drug liking. And
15 I see bars that go at the 30th and the 50th and if
16 there was any sort of thought on the FDA as to
17 should we be directing our attention at rates that
18 are at those threshold cut-offs and how we think
19 about these data.

20 DR. HERTZ: Because a lot of these studies
21 have primary outcomes that are drug liking, we
22 don't want to ignore the statistical approach.

1 That's part of it. Part of it is this continuous
2 responder-type analysis is one we've adopted for
3 efficacy data, as well, the idea of having a fairly
4 constant approach to showing results.

5 Thirty and 50 percent are simply common
6 anchors for the purposes of giving one a place to
7 focus, but unlike some of the work that's been done
8 in some populations regarding what represents a
9 clinically meaningful difference, for instance, in
10 reduction in pain in certain pain populations, we
11 don't have that kind of information here. These
12 are just visual anchors.

13 DR. MORRATO: If I understand the first part
14 of how you responded, since other studies have been
15 statistically sized or powered based on the liking
16 variable, their labeling followed from that or are
17 you shifting in thinking that the take drug again
18 is really the more meaningful one and we should be
19 looking at a graph.

20 I don't know if you have the similar kind of
21 graph for the other measure.

22 DR. HERTZ: You raise a lot of questions and

1 I think part of this is learning over time. We put
2 in the data in terms of the tables. There are a
3 lot of potential data presentations, the
4 pharmacodynamic over time curves, the PK over time
5 curves.

6 There's a lot of information that could go
7 in. We're not entirely sure always of how much, so
8 if you have thoughts about that. But most of what
9 you're seeing, if we go back to the first product
10 that had the labeling consistent with the guidance,
11 we've been developing the guidance, we've been
12 developing the approach to labeling over time as
13 information has come in.

14 The more studies we get and the more we
15 understand or get to evaluate results after, we're
16 learning about the information. I think it's just
17 a reflection, in part, of the newness of the field,
18 trying to sort out what might provide clinicians
19 with useful information, trying to avoid clutter of
20 too much information, which can be
21 counterproductive.

22 That's where we are. It's an evolution.

1 DR. BROWN: We're going to defer other
2 questions until after lunch and we're going to stop
3 now and take a break. We'll reconvene again in
4 this room in one hour from now at 1:00. Please
5 take any personal belongings you may want with you
6 at this time.

7 Committee members, please remember that
8 there should be no discussion of the meeting during
9 lunch amongst yourselves, with the press, or with
10 any member of the audience. Thank you.

11 (Whereupon, at 12:07 p.m., a luncheon recess
12 was taken.)

13

14

15

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(1:01 p.m.)

Open Public Hearing

DR. BROWN: We're going to start the open public hearing. Both the Food and Drug Administration and the public believe in a transparent process for information gathering and decision making. To ensure such transparency of the open public hearing session of the advisory committee meeting, the FDA believes it is important to understand the context of an individual's presentation.

For this reason, the FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement, to advise the committee of any financial relationship that you may have with the sponsor, its product, and, if known, its direct competitors.

For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your attendance at the meeting. Likewise, FDA

1 encourages you, at the beginning of your statement,
2 to advise the committee if you do not have any such
3 financial relationships.

4 If you choose not to address this issue of
5 financial relationships at the beginning of your
6 statement, it will not preclude you from speaking.
7 The FDA and this committee place great importance
8 in the open public hearing process. The insights
9 and comments provided can help the agency and this
10 committee in their consideration of the issues
11 before them.

12 That said, in many instances and for many
13 topics, there will be a variety of opinions. One
14 of our goals today is for this open public hearing
15 to be conducted in a fair and open way, where every
16 participant is listened to carefully, and treated
17 with dignity, courtesy, and respect. Therefore,
18 please speak only when recognized by the
19 chairperson. Thank you for your cooperation.

20 Will speaker number 1 please step up to the
21 podium and introduce yourself, speaker number 1?

22 (No response.)

1 DR. BROWN: Speaker number 2? Will speaker
2 number 2 step up to the podium and introduce
3 yourself? Please state your name and any
4 organization you are representing for the record.

5 MR. THOMPSON: Hello and good afternoon. My
6 name is Edwin Thompson. I am the president of
7 PMRS, Incorporated, located in Horsham,
8 Pennsylvania.

9 I submitted a citizen's petition to the Food
10 and Drug Administration on February 19th of this
11 year, 2016, asking the FDA to revoke the abuse-
12 deterrent labeling on OxyContin, retroactively
13 revoke the three-year exclusivity given to
14 OxyContin, and restore the original OxyContin NDA.

15 The scientific reasons for these requests
16 are in this citizen petition. The petition was
17 provided to you, the advisory committee, in my
18 written statement submitted in advance of this
19 meeting. My request is that you take the first
20 step in stopping the opioid epidemic by applying
21 the required scientific and legal principles
22 identified in the citizen's petition before

1 approving additional abuse-deterrent labeling for
2 opioid products.

3 The FDA should use evidence-based science to
4 approve abuse-deterrent labeling. This requires
5 that studies are scientifically rigorous, that the
6 studies permit a meaningful statistical analysis,
7 that they are reproducible, that pharmacokinetic
8 and pharmacodynamic data correlate, and that they
9 are in the best interests of patients.

10 I would like to bring to your attention
11 three key issues and ask that you consider them in
12 your deliberation and your voting. I am using the
13 approval in labeling of OxyContin as an example so
14 that you do not repeat the same mistakes in
15 approving abuse-deterrent labeling for future
16 products, including today's review.

17 Number one, OxyContin abuse-deterrent
18 labeling was approved as a supplement for
19 reformulated OxyContin on one and only one liking
20 study, study OTR 1018.

21 In the FDA's own written words, no other
22 data exists to support approval of this supplement.

1 Such an important decision and only one study.

2 In liking study OTR 1018, the FDA used only
3 pharmacodynamic data to approve abuse-deterrent
4 labeling for OxyContin. The pharmacodynamic data
5 did not reach the required "meaningful statistical
6 analysis."

7 The package insert states, "The intranasal
8 administration of finely crushed OxyContin was
9 associated with a numerically lower mean in median
10 drug liking score and a lower mean and median score
11 for take drug again compared to finely crushed
12 original OxyContin or powdered hydrocodone
13 hydrochloride," as summarized in table 4.

14 The pharmacokinetic data should have been
15 required, and pharmacokinetic data and
16 pharmacodynamic data must correlate to be
17 reproducible and scientifically rigorous. You know
18 that the PK data is valid data and reproducible.

19 In these advisory committees, you've been
20 told time and again that the PK and PD data do not
21 correlate. So the pd data is not reproducible.
22 You know that liking studies, including this

1 study OTR 1018, are not scientifically rigorous and
2 do not produce meaningful statistical analysis.

3 Liking studies are not valid scientific
4 evidence and should not be a requirement for abuse-
5 deterrent labeling, nor should they be used to
6 approve abuse-deterrent labeling.

7 Let's look at table 4. The patients liked
8 finely crushed original OxyContin over oxycodone
9 hydrochloride powder. There's no better liking
10 than oxycodone hydrochloride powder, none, but
11 there is in this study. It's originally finely
12 crushed OxyContin. This liking study design is
13 flawed and this study is flawed, yet it is this
14 single study that enables sales representatives to
15 give hundreds of thousands of presentations to
16 doctors to prescribe OxyContin with a margin of
17 safety because of its abuse-deterrent labeling.

18 It produces three years of FDA-provided
19 exclusivity from competition and prevents generic
20 products from providing tens of billions of dollars
21 of savings to consumers in pain.

22 Number two, abuse-deterrent-labeled

1 OxyContin provides no significant abuse deterrence
2 to the primary known route of abuse, oral
3 consumption. The FDA has stated that the vast
4 majority of deaths associated with OC, original
5 OxyContin, were related to oral consumption.

6 The approved labeling for OxyContin, what
7 sales representatives are promoting to doctors,
8 states, "Relative to original OxyContin, there is
9 an increase in the ability of OxyContin to resist
10 crushing, breaking, and dissolution using a variety
11 of tools and solvents."

12 This statement is true, but it is highly
13 deceptive and clearly lacks full disclosure. In
14 the product labeling in table 4, it is reported
15 that both original OxyContin and reformulated
16 OxyContin are finely crushed, overcoming the
17 resistance to crushing and breaking.

18 Also, it was reported by the FDA that
19 reformulated OxyContin, when vigorously chewed,
20 dose-dumps. The FDA review reported, "Upon chewing
21 vigorously, ORF and OC products are bioequivalent
22 with respect to oxycodone Cmax and area under the

1 curve. Reformulated OxyContin has no meaningful
2 advantage in breaking and crushing over original
3 OxyContin."

4 The FDA clinical review, summary of evidence
5 and conclusions, included the statements, "The
6 controlled-release properties of ORF, reformulated
7 OxyContin, can be overcome with chewing and
8 swallowing." Doctors should have been informed
9 that the controlled-release properties of OxyContin
10 can be overcome when finely ground and swallowed
11 and chewed vigorously and swallowed.

12 This is more important information for a
13 doctor than the information in the labeling. This
14 information would prohibit rather than approve
15 abuse-deterrent labeling.

16 Third point, the OxyContin label informs
17 doctors that when subjected to an aqueous
18 environment, OxyContin gradually forms a viscous
19 hydrogel, for example, a gelatinous mess that
20 resists passage through a needle.

21 The division director, Dr. Rappaport at the
22 time, in his summary review, stated, "These

1 features also render the product almost impossible
2 to dissolve, syringe, and inject."

3 Dr. Throckmorton, in his summary review, stated,
4 "OCR gradually forms a viscous hydrogel, for
5 example, a gelatinous mess that resists passage
6 through a needle. The in vitro testing was
7 sufficient to demonstrate that OCR, reformulated
8 OxyContin, prevents oxycodone from being drawn into
9 a syringe to any meaningful extent."

10 These statements are incorrect. These
11 statements are equivalent to telling you that you
12 can take the door off a prison cell and not worry
13 that the inmates will have to cut through the bars
14 to escape.

15 The fact is that when OxyContin is subjected
16 to an aqueous environment, it can easily be
17 extracted to high-purity and high-label clean by an
18 unskilled person in minutes, with viscosity similar
19 to water, drawn into a syringe, and prepared for
20 injection.

21 OxyContin can also be extracted in a common
22 solvent to high-purity and label clean by an

1 unskilled person and easily drawn into a syringe or
2 converted into crystalline form for distribution
3 and sale. Reformulated OxyContin does not have any
4 meaningful abuse-deterrent properties to prevent
5 extraction and injection.

6 Reformulated OxyContin's extended-release
7 properties are defeated when ground or chewed
8 vigorously, resulting in dose-dumping. The liking
9 studies used to approve OxyContin abuse-deterrent
10 labeling were not scientifically rigorous and did
11 not permit a meaningful statistical analysis.

12 The study design is flawed and the study is
13 flawed. Reformulated OxyContin is easily
14 extracted, drawn into a syringe, and made available
15 for injection at high purity and high-label clean
16 by an unskilled person.

17 You can take a giant step in stopping the
18 opioid epidemic by voting to revoke the abuse-
19 deterrent labeling for OxyContin, restoring the
20 original OxyContin NDA, and requiring all current
21 and future opioid abuse-deterrent-labeled products
22 meet the standards set forth in the guidance and in

1 the Code of Federal Regulations.

2 The studies should be designed to be
3 scientifically rigorous, permit a meaningful
4 statistical analysis for the study, and be in the
5 best interests of patients. Doctors should have
6 been given accurate and meaningful product
7 labeling, including full disclosure so that they
8 can make an informed clinical decision for their
9 patients.

10 You, this advisory committee, have the power
11 to make a significant contribution to stopping the
12 opioid epidemic.

13 Thank you.

14 DR. BROWN: Thank you, Mr. Thompson.
15 Speaker number 4, step up to the podium and
16 introduce yourself.

17 DR. TWILLMAN: My name is Bob Twillman. I'm
18 the executive director of the American Academy of
19 Pain Management. I have no financial conflicts to
20 disclose.

21 The Academy is the country's largest
22 organization for pain management clinicians and the

1 only one that has, from its inception in 1988,
2 consistently promoted a model of integrative pain
3 management. This model recognizes the value of a
4 variety of treatments, including not only
5 medications and procedures, but also a wide array
6 of non-pharmacological treatments.

7 Today, the United States is struggling to
8 address two very complex and very costly public
9 health crises, namely, opioid abuse and chronic
10 pain. These two crises are similar in many ways
11 and are linked by the key factor of the involvement
12 of prescription opioid analgesics in both.

13 Policy-based efforts to address these crises
14 must be as complex as the problems they attempt to
15 solve, because simple solutions for complex
16 problems often produce negative, unintended
17 consequences that may be almost as severe as the
18 problems they address.

19 Today, legislators, regulators, and
20 healthcare professionals all find themselves
21 challenged by the perceived need to address opioid
22 abuse by limiting exposure to these medications,

1 while simultaneously providing appropriate access
2 for people with chronic pain who have a legitimate
3 medical need for them.

4 Ironically, it is, to a large extent, the
5 use of these products in ways that are not intended
6 by people who do not have a legitimate need for
7 them that creates access problems for people with
8 chronic pain.

9 The common response to this misuse and abuse
10 of prescription opioids is the development of
11 simple, unbalanced policy solutions that tend to
12 restrict access to opioid analgesics across the
13 board, thereby creating access barriers for people
14 with chronic pain.

15 Solving both of these problems without
16 creating a zero-sum game will require
17 implementation of a variety of policy and
18 technological solutions. We need not just one
19 tool, such as guideline-driven prescribing
20 restrictions, but a whole toolbox full of tools if
21 we are to successfully address both of these
22 crises.

1 One very important tool in that toolbox is
2 abuse-deterrent opioid medications such as the one
3 you're considering today. While we can imagine a
4 future in which we have medications that relieve
5 pain without creating the risk of abuse, I don't
6 see that we're on the verge of this kind of
7 revolution in the pharmaceutical industry.

8 Instead, we're left to develop incremental
9 improvements on the abuse-deterrent products we
10 already have, hoping to get closer to our goal with
11 each one, while simultaneously staying a step ahead
12 of the clever chemists who may be able to defeat
13 the abuse-deterrent technology.

14 Ensuring access to opioid analgesics for
15 people with a legitimate medical need requires that
16 we continually improve the available technology,
17 making these medications safer for those who abuse
18 them, the vast majority of whom shouldn't be using
19 them in the first place.

20 If we lose the edge with respect to abuse
21 deterrence, we can expect to see policymakers
22 instituting further limits on prescribing, tying

1 the hands of healthcare providers who are simply
2 trying to give their patients what's required to
3 relieve chronic pain.

4 The medication you're considering today
5 represents the kind of incremental improvement I've
6 been talking about. Prescribers need to have
7 access to an array of abuse-deterrent opioid
8 analgesic options and this medication incrementally
9 improves one of those options, namely, extended-
10 release hydrocodone.

11 Allowing this medication to enter the
12 marketplace will not give us a new tool in our
13 toolbox, but it will give us a better version of
14 one of the tools we already have. Therefore, in
15 the interest of and for the benefit of people with
16 pain who require opioid analgesics to maximize
17 their quality of life, I urge you to approve this
18 medication with the requested abuse-deterrent
19 labeling.

20 I also urge you to do this in the interest
21 of and on behalf of the pain care professionals,
22 who see people suffering with chronic pain and want

1 to provide them with the best pain care possible,
2 but who may not be able to do so if they're denied
3 the use of opioid analgesics.

4 Please help both people with pain and their
5 pain care providers by approving this product.

6 Thank you.

7 **Clarifying Questions (continued)**

8 DR. BROWN: Thank you very much. The open
9 public hearing portion of the meeting has now
10 concluded and we will no longer take comments from
11 the audience. The committee will now turn its
12 attention to address the task at hand, the careful
13 consideration of the data before the committee, as
14 well as public comments.

15 But before we move on, we will consider some
16 of the remaining clarifying questions that we
17 didn't have time for before lunch. We're going to
18 begin with the sponsor questions, and,
19 Dr. Wesselmann, you had a question for the sponsor.

20 DR. WESSELMANN: Yes. I would like to know
21 a little bit more detail regarding the post-
22 marketing studies that are planned and that relates

1 to slide 74, abuse deterrence expected to be
2 confirmed in post-marketing real-world abuse
3 studies.

4 If you could, educate me a little bit more
5 on what the endpoints are of those studies, what
6 parameters will be measured.

7 DR. MALAMUT: Teva will conduct Category 4
8 studies to assess whether abuse-deterrent
9 properties actually result in a significant and
10 meaningful decrease in misuse and abuse and their
11 consequences of addiction, overdose, and death.

12 DR. BROWN: Dr. Wesselmann, do you have any
13 follow-up to that?

14 DR. WESSELMANN: Yes. What are the
15 timeframes you are looking at to do these studies?
16 And what would be a cut-off point, like an increase
17 in deaths by what percentage when you would feel
18 that the measures you put in place are not
19 sufficient? I am trying to see what would be the
20 policy relation to these measures that you are
21 implementing.

22 DR. MALAMUT: We will be conducting the

1 post-marketing requirements, the FDA-mandated
2 observational studies that address the points I
3 made during my prior comment. And we will be
4 working with the consortium of sponsors who are
5 also participating in these studies.

6 We have not yet gotten into the detail that
7 you request in terms of cut-off points for death
8 and other things.

9 DR. HERTZ: The post-marketing studies are
10 in the background material. I don't have the page
11 number, because I have it in sections, but it was,
12 I think, the last section in there. That
13 describes -- there are two sets of requirements,
14 with some sub-bullets.

15 DR. WESSELMANN: I read those and I was
16 wondering what the cut-off points would be when an
17 action would be taken. What is the threshold where
18 you would assume that the precautions applied are
19 not working or that that method applied here is not
20 working? And I didn't see that in the material,
21 but I might have overlooked it.

22 DR. STAFFA: This is Judy Staffa. I think

1 what you saw in the background was the group, the
2 consortium of all manufacturers who make extended-
3 release long-acting products who are participating
4 in 11 studies, and I think that's what the sponsor
5 was referring to.

6 In addition to that, each sponsor also has
7 their own post-marketing required study to actually
8 evaluate the abuse deterrence of their product in
9 the community. A lot of our thinking on
10 this -- again, we're still learning as we go since
11 this is new technology -- is laid out in the
12 guidance itself in terms of understanding what do
13 we want to see from the point of view of formally
14 required studies.

15 But knowing that there's often a lack of
16 data out there, we also invite sponsors to submit
17 anecdotal data, supporting data, anything that
18 would help us to understand better what's happening
19 once these products are approved. But in terms of
20 a cut-off, I think what we're looking for is
21 "meaningful reduction," and we're hesitant to put a
22 number there, because as Dr. Hertz referred to, the

1 landscape is constantly changing.

2 DR. WESSELMANN: Yes. That is why I was
3 wondering, because it's a moving target. Even if
4 this drug would be abused a lot, there might be
5 other reasons, like access to other drugs not
6 available. On the other hand, if we don't see much
7 of abuse of this particular drug, that might be
8 because in the market, there are easier other drugs
9 to be abused, because as long as opioids are around
10 and we need them to treat the patients, there will
11 always be abuse.

12 But what is used to be abused will always
13 vary depending on what is available in the market
14 at a given time. And that's why I asked that
15 question.

16 DR. STAFFA: It's a great question. And
17 that's one of the reasons we very closely monitor
18 the utilization of new products as they become more
19 available, because it is connected. People will
20 abuse what is generally available.

21 DR. BROWN: Dr. Campopiano?

22 DR. CAMPOPIANO: Most of my questions have

1 been answered and I think what I have left is more
2 appropriate for the discussion section.

3 DR. BROWN: Dr. Sprintz?

4 DR. SPRINTZ: Yes. The only statement I
5 wanted to clarify was actually from Dr. Lee's
6 presentation, on page eight, that talked about the
7 top prescriber specialties. And it listed family
8 practice, general practice, and osteopathy for 21
9 percent as the primary.

10 However, if you actually look at the graph,
11 it talks about anesthesiology at 18 percent in pain
12 medicine. And I guess I just wanted to make the
13 clarification that, generally speaking, most likely
14 the anesthesiologists who are prescribing
15 hydrocodone ER are actually pain doctors in pain
16 medicine.

17 But most likely, the data source defined
18 them as their primary specialty as anesthesia. So
19 I would say that, at least in prescribing
20 hydrocodone ER, you've got pain docs that, if you
21 actually combined them, would be about 28 percent,
22 plus I'm sure there's a bit with the physical and

1 rehab, as well, that would be involved in that.

2 The data is not exactly descriptive of
3 saying primary care is the largest prescriber.

4 DR. BROWN: Perhaps we can ask some of the
5 folks from the FDA about that, because that's
6 something I've wondered about. I don't know very
7 many anesthesiologists anywhere that are
8 prescribing extended-release anything. Do you
9 folks have any clarifying statements about the
10 percentage of anesthesiologists that are
11 prescribing extended-release opiates?

12 DR. CHAI: This is Grace Chai, the deputy
13 director for drug utilization in the Division of
14 Epidemiology II. I'm not sure if this directly
15 answers what your question is, but these are the
16 physician's specialty as the prescriber reports to
17 the AMA. This is what's linked to the prescription
18 data. Was that what your question was regarding?

19 DR. SPRINTZ: I think a lot of times what
20 happens is that when they gather this data, they
21 generally ask what your primary specialty is. So,
22 for a lot of these docs, their primary specialty

1 may be anesthesiology. However, their secondary
2 specialty or sub-specialty is pain medicine.

3 They're lumped as anesthesiologists as a
4 category, but really what they are, they're
5 prescribing long-acting hydrocodone. They're, I'd
6 say, almost wholeheartedly pain doctors.

7 DR. CHAI: That sounds correct. Thank you.

8 DR. BROWN: Thank you. Dr. Bateman?

9 DR. BATEMAN: My question has been
10 addressed.

11 DR. BROWN: Dr. Chauhan?

12 DR. CHAUHAN: Hi, Cynthia Chauhan. I was
13 concerned in the initial presentation that age,
14 gender, and race were not addressed. And I'm
15 wondering, as you go forward into the post-
16 marketing, if you would be willing to use those to
17 look at this, too.

18 DR. MALAMUT: Yes. In our phase 3 studies
19 for efficacy and safety, we did break down by all
20 of those demographics. Is there a specific
21 question I can answer about that?

22 DR. CHAUHAN: I didn't see the break-down.

1 DR. MALAMUT: We can show that for you.
2 Yes. I can tell you that, by gender, it was even
3 for men and women. For age group, we broke it down
4 by less than 65 years and greater than 65 years.
5 And we did have more patients who were younger than
6 65 years.

7 By race, it was predominantly white, 73
8 percent white, 20 percent black, and then the rest
9 were other races. And there was no difference in
10 demonstrated effect or in safety across those
11 demographics.

12 DR. BROWN: Are there any other clarifying
13 questions for the FDA or for the sponsor prior to
14 the time that we go ahead and begin working on it?

15 DR. KAYE: Alan Kaye. I have one question
16 for the sponsor. I was trying to quantify the talk
17 about intravenous deterrence with numbers. And
18 there are slides that just say syringeability.

19 Is there a way to quantify? I know you
20 probably can't give an exact number, but just
21 something to give me a little more precision.

22 DR. MALAMUT: Around our Category 1

1 syringeability data, is that your specific
2 question?

3 DR. KAYE: Yes. I know it's not going to
4 prevent 100 percent ability to inject it
5 intravenously. But would you say it's -- I won't
6 give you the number, but could you just give me
7 something, just a little more that I could live
8 with?

9 DR. MALAMUT: Sure. Allow me to call
10 Dr. Moe back to the microphone to address that.

11 DR. KAYE: Thank you very much.

12 DR. MOE: Hopefully, this is what you're
13 looking for. This is the extraction data. This is
14 after the manipulation and after the syringe, so
15 then we recover however much we were actually able
16 to get through the syringe. Then we analyze that,
17 4 percent drug.

18 Here we have, again, always versus Zohydro
19 for the two tools. It's about a fifth of the drug
20 we were able to get out. The rest of it was lost
21 to the thick viscous or to the filter.

22 DR. KAYE: Perfect. Thank you very much.

1 Thanks.

2 DR. MOE: Thanks.

3 DR. BROWN: Dr. McCann?

4 DR. McCANN: I have a follow-up question.

5 As far as the viscosity data, was it room
6 temperature or body temperature? Do you remember,
7 Dr. Moe?

8 DR. MOE: The viscosity under which
9 conditions?

10 DR. McCANN: When you tried to inject it
11 through the syringe.

12 DR. MOE: Yes. Actually, what we would do
13 is -- these are methods, so let's see. A typical
14 method for an abuser is actually to boil or near
15 that. I have to be careful.

16 DR. HERTZ: Was it explored under different
17 temperatures?

18 DR. MOE: Pardon me?

19 DR. HERTZ: Were the conditions explored
20 using different temperatures?

21 DR. MOE: They were explored during
22 different temperatures and the viscosity --

1 DR. HERTZ: For syringeability?

2 DR. MOE: For syringeability, yes. And the
3 viscosity is always quite high.

4 DR. McCANN: My follow-up question is I
5 presume a determined abuser will find some way to
6 inject it in. And at one point, I think you said
7 that the drug with the polymers was somewhat like
8 chewing gum.

9 Have there been any safety studies that have
10 looked at whether that just mechanically is safe to
11 abuse?

12 DR. MALAMUT: We didn't consider it safe to
13 subject humans to injecting the manipulated drug
14 with polymer. We have not conducted those studies
15 in humans.

16 DR. HERTZ: This is Sharon Hertz. I think
17 there can be a general assumption that if somebody
18 manages somehow to force a gelatinous material
19 through a needle, they're going to end up with an
20 embolic type of phenomenon.

21 We don't typically require non-clinical
22 studies to demonstrate that. In this setting, we

1 would never allow a clinical study. But typically,
2 in that context, we would just assume it wouldn't
3 be safe to do that if they had managed to get it
4 through a syringe and a needle.

5 DR. MALAMUT: If the chair would allow, we
6 did have a question from before the break.

7 DR. BROWN: Absolutely.

8 DR. MALAMUT: If that would be okay. We did
9 have a question that we wish to show some slides on
10 regarding standard error for PK and PD data. Mary
11 Bond, can you review those slides?

12 MS. BOND: Yes. Mary Bond, clin pharm, Teva
13 Pharmaceuticals. I know before the break, there
14 was a request to look at the variability in the
15 time course curves, and we shared the standard
16 deviation. But clearly, standard error was of
17 interest and so we wanted to also share those plots
18 of the time course with standard error.

19 That is demonstrated here for our time
20 course for liking for the oral study. We have
21 that, as well, for the intranasal study.

22 DR. MALAMUT: Dr. Morrato, I believe you had

1 raised the question.

2 DR. MORRATO: It was Dr. Walsh, but this is
3 very helpful. Thank you.

4 DR. MALAMUT: My apologies. Dr. Walsh?

5 DR. WALSH: Thank you for presenting those.
6 Can you just go back one moment and show the
7 intranasal data again? They're just flipping a
8 little too quickly for me.

9 MS. BOND: Yes. I believe we had discussed
10 the PK. Did you want to see those curves, as well,
11 with error?

12 DR. WALSH: Sorry. Can you repeat that?

13 MS. BOND: I believe we had also discussed
14 the PK data. Did you want to see those with
15 errors, as well?

16 DR. WALSH: Sure.

17 MS. BOND: Again, first, oral, and I can
18 move to intranasal, if you'd like.

19 DR. BROWN: Any comments?

20 DR. WALSH: I'd just ask one more question
21 about those data. Do you have any figure prepared
22 that illustrates each subject score as an

1 individual dot so that we can see distribution? Do
2 you follow?

3 DR. MALAMUT: No. No, we don't have that
4 curve prepared.

5 DR. WALSH: Thank you.

6 DR. BROWN: Are there any other questions,
7 clarifying questions, for either the FDA or the
8 presenters from Teva before we go on to Dr. Hertz's
9 charge to the committee? Dr. Morrato?

10 DR. MORRATO: Let's see if I got it right.
11 It's the follow-up question that, I think,
12 Dr. Bateman was asking. The FDA is looking at some
13 of the in vitro data and whether or not it's
14 supporting oral and so forth. I wonder if the
15 company has any comment on the FDA statement that
16 there's not enough sufficient evidence to support
17 any significant abuse deterrent by oral route or
18 its superiority over the comparator extended-
19 release.

20 DR. MALAMUT: Yes. We believe all our data
21 does show oral abuse deterrence throughout Category
22 1, 2, and 3 studies. And the point, just to

1 reemphasize, that Dr. Moe made earlier is that in
2 our Category 1 studies, we did test to failure, per
3 the FDA guidance.

4 In that regard, it's not surprising that we
5 were able to find certain combinations of multiple
6 stress conditions that could overcome our abuse-
7 deterrent properties. I'd also add that we didn't
8 find many methods that could overcome it. Again,
9 along with the message you've heard, our goal is to
10 be abuse deterrent, not abuse-proof.

11 Again, just to reinforce, our Category 2 and
12 3 oral abuse-deterrent data did show significant
13 results.

14 DR. BROWN: Any other questions?

15 (No response.)

16 DR. BROWN: If not, Dr. Sharon Hertz will
17 now provide us with the charge to the committee.

18 **Charge to the Committee**

19 DR. HERTZ: Thank you all for your attention
20 with the clarifying questions so far. As we
21 proceed on to the questions that we have for you, I
22 just want to present a few concepts for your

1 consideration as you go forward.

2 We recognize that because these products are
3 analgesics, they have to be able to deliver the
4 opioid. At the end of the day, there's always
5 going to be some potential for abuse, even if it's
6 by taking the product orally. Abuse deterrent, as
7 we've said a couple times today, is not abuse-
8 proof.

9 We as an agency accept that there is an
10 overall public health benefit for incremental
11 improvements in the development of abuse-deterrent
12 opioids, but, also, as we've said, it's qualitative
13 at this point and that's a challenge for all of us.

14 We have our guidance, which does describe
15 that for these products, when the pre-market data
16 show that a product's abuse-deterrent properties
17 can be expected to result in a meaningful reduction
18 in that product's abuse, the data may, together
19 with an accurate characterization of what the data
20 means, can go into the product labeling.

21 We think it's important to have a relevant
22 comparator and I think we've also touched on

1 briefly so far that that's a challenge over time as
2 new products come on the market while other
3 products are under development. So the context of
4 what's relevant changes and can be hard to chase
5 for any given product's development.

6 The standard against which each product's
7 abuse-deterrent properties are evaluated will
8 depend on the range of abuse-deterrent and non-
9 abuse-deterrent products on the market at the time
10 of the application. That's also part of what our
11 guidance states.

12 We have a number of reasons for why we don't
13 approve products. They include problems associated
14 with the control, manufacture or chemistry; the
15 manufacturing facilities; inspection-related
16 problems at facilities or clinical sites; concerns
17 about efficacy labeling; PK data; non-clinical
18 data; if the application contains untrue statements
19 of material fact; does not comply with relevant
20 regulations; if the rights or safety of subjects
21 were not protected; if there is a lack of
22 substantial evidence for supporting the product in

1 terms of efficacy or safety or if it's not
2 adequately conveyed in the labeling.

3 What you may hear is missing from what our
4 regulations currently describe are the kinds of
5 comparative pieces that I think some folks want to
6 have. There is no current regulation or
7 requirement for there to be an incremental
8 improvement to be better than.

9 We can consider potentially risks that may
10 be greater, if there aren't advantages to off-set
11 those risks, but a lack of being better is not
12 currently part of our criteria for not approving a
13 product.

14 As we go into the questions today, we're
15 going to ask you to discuss your understanding or
16 your conclusions on whether you think the data
17 support abuse-deterrent properties for this product
18 by various routes, and we're going to ask you to do
19 a series of votes.

20 Every time we come to AC, we try really hard
21 to learn from the past one in terms of clarifying
22 the questions. If there are questions that you

1 need additional clarification or need to explore,
2 please, I know you'll let us know. And I'm going
3 to turn it back over to Dr. Brown.

4 **Questions to the Committee and Discussion**

5 DR. BROWN: Thank you, Dr. Hertz.

6 We're now going to proceed with the
7 questions to the committee and panel discussions.
8 I would like to remind public observers that while
9 this meeting is open for public observation, public
10 attendees may not participate except at the
11 specific request of the panel.

12 Our first question is, please discuss
13 whether there are sufficient data to support a
14 finding that Ventrela ER, hydrocodone bitartrate
15 extended-release tablets, has properties that can
16 be expected to deter abuse, commenting on support
17 for abuse-deterrent effects for each of the three
18 possible routes of abuse, oral, nasal, and
19 intravenous.

20 Are there any questions or comments related
21 to the wording of this question put before the
22 panel? Dr. Campopiano?

1 DR. CAMPOPIANO: I guess you would call this
2 a wording question. We have an item to vote on
3 later that goes to the pain indication. Do we have
4 a discussion opportunity for that or do we just
5 discuss it before we vote on question 2? The
6 discussion question is limited to the abuse-
7 deterrent properties and then we're asked to vote
8 on the pain indication.

9 DR. BROWN: We will, in fact, have an
10 opportunity to discuss each individual question as
11 it's presented to us.

12 Clarifying comments, questions about this?
13 And I want to make certain that everyone around the
14 table, whether that person be a non-voting or
15 voting member of this group, has an opportunity to
16 offer their input. Dr. Emala?

17 DR. EMALA: I'll start off the discussion
18 particularly about the oral aspects. Coming back
19 to the questions I raised this morning, the sponsor
20 has suggested that they pushed the product to the
21 limit of failure. But I think that the data
22 presented during their presentation, as well as in

1 the briefing document, with a solvent that is
2 widely available to a potential abuser, with a tool
3 and conditions that seem fairly easy to perform,
4 that I'm not convinced that an appreciable amount
5 of drug could be readily extracted for oral abuse
6 in a solvent that would be quite compatible with
7 that.

8 Do you want to talk about all three methods
9 or do you want to go one at a time? The nasal
10 abuse, I was impressed, did have some deterrent
11 properties both because of viscosity issues and
12 some of the Category 3 studies. I'm particularly
13 focused on the early 30-minute kind of effect in
14 the Category 3 studies, where I was impressed that
15 the drug liking and such seemed to be much less at
16 the 30-minute time point.

17 To me, looking at later and later time
18 points seems less applicable, because a potential
19 abuser, I think, is looking for an early, quick
20 high by these alternative routes. And I was fairly
21 convinced, from an intravenous standpoint, that it
22 would be a very difficult formulation to use by

1 that route, as well.

2 DR. BROWN: Dr. Walsh?

3 DR. WALSH: I'm going to skip to the
4 intranasal data. And I think that I'm less
5 impressed with the intranasal data, to be honest
6 with you. If we are reliant only on the primary
7 outcome measure of difference in Emax, the
8 difference for the primary comparator is only about
9 seven points, whereas the difference for the oral
10 study is much larger. And we do have available
11 data from the other drug that's on the market as a
12 hydrocodone extended-release that has intranasal
13 abuse-deterrent labeling. In that case, the
14 different score is closer to 25 points.

15 That's a really substantial difference
16 compared to what we're looking at here.

17 While I agree that users are generally
18 looking for a more rapid high, the reality is that
19 it's been hard to demonstrate that relationship, a
20 really tight relationship between the PK and PD for
21 opioids. It is clearer for some other drug
22 classes. And opioids are not really short-acting,

1 generally, not the ones that people want to abuse.

2 I think shifting the Tmax is important, but
3 I wasn't impressed overall with the shift in Cmax
4 or Tmax for the intranasal data. I'm fairly
5 convinced, because I work with people who abuse
6 opioids regularly, that the viscosity of the
7 product will deter intravenous administration.

8 DR. BROWN: Any comments about oral
9 administration of Ventrela?

10 DR. WALSH: I appreciate what Dr. Emala said
11 about if you press hard enough, you can get
12 anything out. But herein lies the rub, that we
13 want the drug to come out for the people who need
14 it. That's always going to be the case.

15 I think that the Category 3 study with the
16 oral administration made me believe that there
17 would be some deterrence or at least there would be
18 certainly less preference for this product over
19 others that are on the market.

20 I'm not certain how the magnitude of
21 difference maps on to the magnitude of difference
22 for the outcome measures that we're relying on for

1 the other six marketed products.

2 DR. BROWN: Yes?

3 DR. EMALA: Could I just follow-up to that?
4 The comment about the Category 3 for the oral, it
5 was done with a manipulated formulation, but not an
6 extracted formulation. And I think that gets into
7 some of the language we were talking about earlier,
8 where Dr. Bateman pointed out that the language in
9 the briefing document talked about the pure in
10 vitro data not supporting deterrence from oral
11 abuse, whereas the FDA suggested if you combined
12 the in vitro and the Category 3 data, that there
13 was.

14 But I think there's a little apples and
15 oranges being compared of the manipulated crushed
16 product to an extracted product, again, in a
17 solvent that's widely available.

18 DR. BROWN: Dr. Shoben?

19 DR. SHOBEN: Just a couple of comments. One
20 is to say that I think that there is some sort of
21 abuse deterrence for the oral just because of the
22 nature of the crushing not defeating all of the

1 extended-release properties. That is an
2 improvement and that was what was backed up in the
3 Category 3 studies.

4 It certainly means that it could be
5 defeated. These extracted comparisons and things,
6 of course, you can defeat it. But I think there is
7 an incremental improvement, in a sense, in terms of
8 someone who accidentally crushes their pill or the
9 easiest methods for oral abuse. There is some
10 level of deterrence.

11 It's not perfect, obviously, but I think
12 there is enough there for me to think that there is
13 a deterrent there.

14 I would agree with Dr. Walsh that the nasal
15 data is a little less compelling, although I would
16 certainly caution these comparisons of these
17 Category 3 studies across the studies. They're
18 very difficult to compare across Category 3 studies
19 early, or so I was told last time, so it's kind of
20 hard to know.

21 It was modestly superior to the competitor
22 that they chose. That's not the current status and

1 I don't know how that should be weighed. And I
2 would agree with everyone that the intravenous, the
3 gelling seems pretty compelling.

4 DR. BROWN: Dr. Campopiano?

5 DR. CAMPOPIANO: I have a broader question
6 that I think goes to abuse deterrence. And I think
7 I'm going to preface it by saying it's not really a
8 direct comment on this product or the sponsor's
9 approach to analyzing the effectiveness of this
10 form of deterrence.

11 It has more to do with more the broader
12 discussion about how we are looking at what the
13 comparator should be. And I totally understand the
14 thinking that you would compare a hydrocodone-
15 containing product to a hydrocodone-containing
16 product.

17 But I'm just wondering what people,
18 especially those of you who have been through this
19 process for a number of abuse-deterrent products,
20 think about. From the prescriber point of view, if
21 I'm deciding what product to prescribe, I might be
22 comparing a hydrocodone product to another

1 hydrocodone product.

2 But from the drug user's side, I'm going to
3 be comparing this product that I happen to get my
4 hands on to whatever is most readily available and
5 it's not necessarily going to be another
6 hydrocodone product. There are drug users who have
7 preferences for certain categories of opiates.

8 But I'm thinking that as we explore what
9 does it mean to be abuse deterrent, how many eggs
10 do we want to put in the basket of comparing head-
11 to-head competing-in-the-market products versus
12 competing-in-the-substance-user's-world products?
13 It's more just a question for everybody's
14 consideration.

15 DR. BROWN: Can I speak to that, because I
16 have an interest in that very thing. And based on
17 what I can determine, we don't know that now. We
18 don't have this marketing data that's going to
19 demonstrate to us who is the most effective and who
20 is not the most effective, nor do we know what to
21 do about it when we figure that out.

22 We're largely going on the basis of each

1 individual product considered as its own standard
2 rather than as a standard against another product
3 right now. Dr. Gerhard?

4 DR. GERHARD: Toby Gerhard, Rutgers. I
5 would like to broaden the discussion even more, I
6 guess, unless FDA objects. I think we have to
7 think just --

8 DR. BROWN: Can I just interrupt for just a
9 second and say we want to try our best to limit the
10 discussion to this particular drug. If we do not,
11 we will not be able to give the FDA the information
12 that they require to act on this particular drug.

13 There are larger objectives and I'm
14 certainly aware of those, but we need to move down
15 the road with this drug.

16 DR. GERHARD: I'm happy for you to
17 just -- let me, please, just state the question and
18 then maybe you can react. I think it applies
19 certainly to this product, not exclusively to this
20 product, but I think we should consider the
21 question of unintended consequences of granting an
22 abuse-deterrent labeling to opiates.

1 We should think about the potential downside
2 of doing that and whether we are giving or lowering
3 the bar of prescribing long-acting opiates in
4 general by providing this, in a sense, marketing
5 tool of abuse deterrence and giving the impression
6 that these drugs might be safer generally beyond
7 that.

8 I think it is an important point, though not
9 specific to this one. I don't know whether there
10 are comments or whether you consider this in scope
11 or out of scope.

12 DR. BROWN: Dr. Choudhry?

13 DR. CHOUDHRY: I'm not going to address Dr.
14 Gerhard's comments, if you don't mind. But I think
15 one of the things we struggled with a
16 lot -- briefly, I think he does make a very
17 legitimate statement.

18 One of the questions that we struggle a lot
19 with is what constitutes a good outcome or what
20 outcome measure is good enough.

21 The guidance that we got before arriving
22 here and certainly the charge to us was that it's

1 the totality of evidence. And I think that's a
2 reasonable approach when there isn't a single
3 outcome that we think to be acceptable nor a level.

4 I think my overarching comment is really
5 around the need for better data. We need to be
6 able to begin to predict abuse and/or average use,
7 whatever you want to call it, better.

8 One clear recommendation I think that we can
9 offer as a committee is a charge to the FDA to help
10 foster the science here for us to figure out which
11 of these metrics we should be using.

12 I agree that some of the PK data, especially
13 for the intranasal stuff, is a little less than
14 compelling. But I am intrigued by the take drug
15 again kind of data. And at least one crude way to
16 figure out whether the differences are clinically
17 meaningful or not is to compare the differences
18 between the groups and relative to their standard
19 errors.

20 We see that in percentage points between the
21 groups, for example, either with intranasal or the
22 oral abuse studies, the differences in percentage

1 points are 6 to 7 percentage points, which is 2 to
2 3 times the standard errors that are presented.

3 Generally, that would constitute something
4 that we might consider to be clinically meaningful.
5 On balance, forced to make a decision, I do find
6 this actually compelling enough, but ultimately, I
7 think our recommendation really needs to reflect
8 the idea that we actually need to know which of
9 these outcome measures does correlate with longer-
10 term abuse.

11 DR. BROWN: Dr. Morrato?

12 DR. MORRATO: I agree with Dr. Choudhry. My
13 comment is similar, and I'll try not to repeat
14 exactly. Just reflecting, each one of these
15 meetings sets a precedent for future meetings. And
16 I think this is a set of data in which you do see
17 consistencies in the measures, across measures.
18 You see separation of placebo, unmanipulated, with
19 manipulated versus control. And you see that
20 evidence across the types of categories of studies,
21 the pharmacodynamic, pharmacokinetic, and in vitro.

22 Now, that doesn't mean that with

1 manipulation, you cannot overcome some of the
2 barriers, but it's at least a package in which
3 we're now seeing all of those measures in terms of
4 a hierarchy of evidence. Prior drugs sometimes may
5 just show one or a piece of it.

6 In this case, I think we're seeing more
7 consistency. But again, this is the nuance to
8 think what Dr. Gerhard is trying to get at in the
9 unintended consequence of what does it mean to say
10 you're an abuse-deterrent claim and splitting hairs
11 with abuse proof and what messaging actually goes
12 out.

13 Ultimately, what's the value of having an
14 abuse-deterrent claim from a business sense if
15 someone can still claim they have abuse-deterrent
16 physiochemical properties versus a labeled FDA
17 claim? And I hope that the FDA is also monitoring
18 not just the data side of it, but the marketing
19 side of these kinds of claims and perceptions that
20 patients or physicians might have about different
21 drugs based on what's really a labeled claim or
22 not, because we could be arguing over the data

1 when, in essence, in the market, it's not very
2 differentiated.

3 DR. BROWN: Dr. Bateman?

4 DR. BATEMAN: A number of people have said
5 no opioid formulation is going to be abuse-proof.
6 The question then becomes how hard it needs to be
7 to extract large amounts of the opioid, as well as
8 how large the reductions in the PK and PD measures
9 for the physically manipulated drug need to be
10 before we can conclude that the formulation is
11 likely to result in a reduction in abuse liability.

12 I think we really don't know how high this
13 bar needs to be. It's ultimately a very subjective
14 decision in the absence of data. I, too, would put
15 in a plea for more data to inform these questions.
16 And I'll look forward to the data that's emerging
17 out of the post-marketing surveillance studies for
18 the currently available abuse-deterrent
19 formulations and helping us with these questions in
20 the future.

21 DR. BROWN: Dr. Gerhard, did you want to
22 follow-up? I'm sorry. I didn't mean to cut you

1 off, but did you want to follow-up on any of your
2 comments that you made prior?

3 DR. GERHARD: No. I just forgot to put this
4 down. But just generally, I agree completely, to
5 the question, with most of what has been said, that
6 this product specifically certainly showed a lot of
7 improvements on the direct question of abuse
8 deterrence. I think I agree with most of what's
9 been said here.

10 DR. BROWN: Dr. Kaye?

11 DR. KAYE: I just wanted to comment that I
12 was here when Zohydro ER -- we had a very lively
13 discussion, and when you look at slides 39, 41, and
14 43, back then, we were saying give us something
15 versus nothing. And now, we're debating that bar,
16 and I think it's very positive. And I think this
17 committee and the FDA is really going in the right
18 direction.

19 DR. BROWN: Dr. Perrone?

20 DR. PERRONE: I'm sorry. I didn't
21 understand exactly what you're saying. Are you
22 saying --

1 DR. KAYE: I'm saying we're getting there
2 slowly rather than not getting there.

3 DR. PERRONE: Getting where?

4 DR. KAYE: Getting to a world where these
5 drugs have a safeguard. I'm not saying how much of
6 a safeguard, but at least we're getting there.

7 DR. BROWN: Are there any other questions or
8 comments concerning this particular question?

9 (No Response.)

10 DR. BROWN: If not, I'm going to read the
11 question again and then summarize what I think I've
12 heard.

13 Please discuss whether there are sufficient
14 data to support a finding that Ventrela ER,
15 hydrocodone bitartrate extended-release tablets has
16 properties that can be expected to deter abuse,
17 commenting on support for abuse-deterrent effects
18 for each of three possible routes of abuse.

19 Before getting to that, let me say that from
20 the comments of a number of the members of the
21 committee, there are still general questions about
22 what is the meaning of abuse deterrence, the

1 meaning of past recommendations that have been made
2 by this committee relative to abuse-deterrent
3 properties.

4 That being said, it appears that the
5 committee's belief, for the most part, is that the
6 data that was presented for all three of these
7 routes of administration do show at least a modicum
8 of reduction in the possibility of abuse and that
9 though it be incremental, that at the present time,
10 is compelling.

11 Any comments to my comments?

12 DR. SPRINTZ: Michael Sprintz. I do have a
13 question. In question one, then, it's either a
14 choice of agreeing with all three, all three at
15 once, or can we agree with two or one as opposed to
16 it's either all or nothing?

17 DR. HERTZ: No. This is a discussion point.
18 Sorry. This is Sharon Hertz. And as you'll see
19 later on, we'll actually give you the opportunity
20 to vote by route. The last three questions would
21 be about if there should be something in the label
22 to support oral, IV, nasal separately.

1 DR. SPRINTZ: Okay. The only other thing I
2 did want to say is that I did want to echo what
3 Dr. Gerhard said in regard to being cognizant of
4 the unintended consequences of how we label these
5 down the line in terms of abuse in marketing and
6 how it would be presented.

7 DR. BROWN: Thank you. If there are no
8 other comments, we can go on to question number 2.
9 And this is a voting question.

10 Should Ventrela ER be approved for the
11 proposed indication, management of pain severe
12 enough to require daily, around-the-clock, long-
13 term opioid treatment and for which alternative
14 treatment options are inadequate?

15 Are there any questions or discussion prior
16 to the time that we come to a vote? Dr. Perrone?

17 DR. PERRONE: Jeanmarie Perrone. In going
18 along with the CDC opioid prescribing guidelines
19 that had some considerations and concerns about
20 prescribing opioids for chronic pain with frame of
21 reference in terms of who really benefits from that
22 and what the outcome should be in terms of return

1 to function or who really gains a long-term
2 benefit.

3 We were looking at this specifically in the
4 management of pain, and the only pain outcome we
5 saw, I believe, was related to self-reported
6 improvement in pain. What concerns me about going
7 forward is that we set precedence at these meetings
8 where all we have to do is approve it the way the
9 other drug got approved.

10 When are we going to change our requirements
11 that we actually need to show some improvement in
12 pain and other outcomes that are actually relevant
13 to the patient in terms of gain in function? This
14 is really how we got here. Right?

15 Everybody's pain got better. All of our
16 pain would get better. But did we get better in
17 the big picture? Did we actually get a better
18 life, get back to work, get back to our families,
19 get back to our ADLs? And that's really where we
20 are. And I know this might be out of the frame of
21 reference of where we are, but you're asking me the
22 question about should it be approved for pain and

1 we're trying to set precedence related to the next
2 drug that comes along.

3 My concern is we have 10, 15 drugs on the
4 market, whether they're abuse deterrent or not,
5 there is just more drugs out there and we don't
6 even know what we're using them for, whether
7 there's abuse deterrence or not in terms of a real
8 indication.

9 That was a teeny tiny part of this meeting,
10 but it really concerns me to go forward and say
11 this is a good drug for pain, when maybe none of
12 these drugs are good drugs for pain in the large
13 number of people who have been prescribed them.
14 It's a message to clinicians and it's a message to
15 patients and patient expectations around pain
16 management.

17 DR. HERTZ: So I'm not going to let you off
18 the hook with that without a little more, please.
19 I think that part of the complexity of this was
20 captured by your statement, which is what are the
21 functional outcomes then that you think should be
22 required to change when you talk about improvement

1 in function, because this is something that we
2 struggle with.

3 What constitutes an improved function in a
4 pain population? Is it return to work? Is it
5 emotional functional improvement, functional
6 improvement in ADLs, functional improvement within
7 the family structure, functional improvement within
8 the community?

9 That's part of the challenge, knowing how
10 and what to measure, because to show improvement,
11 one must show a deficit. Should we be only
12 enrolling people who have pain sufficient to cause
13 a lack of function so that we then have an
14 opportunity to show an improvement in function?

15 How do we differentiate that from people who
16 have pain and are functioning at some level?

17 I don't disagree and there's been much
18 discussed about this over time. You have good
19 perspective, having been here for a lot of these
20 meetings.

21 What do you think then should also accompany
22 this that would help you with regard to some of

1 that, some of what you've raised?

2 DR. PERRONE: Thank you. Jeanmarie Perrone.
3 I think we can't accept just self-reported pain
4 score improvement and that we need to pair it with
5 very many of the pain functional outcomes that you
6 just discussed, return to family, return to ADLs,
7 possibly return to work, maybe not exclusively one
8 of those things, but a composite, which I believe
9 there are functional pain score or functional
10 outcome scales that studies use to measure those
11 things.

12 I think pain doctors maybe could comment on
13 what they use to get people back to reporting that
14 their pain has improved.

15 This is what the pain people in our
16 organization do. They make them fill out various
17 assessments of other things that are going on in
18 their lives that have improved or they take them
19 off the opioid, in the more aggressive pain
20 management practices.

21 DR. HERTZ: Basically, we should only study
22 these in patients who have functional deficits, is

1 that part of what you're saying? I don't want to
2 read into it. That's why I want to clarify.

3 DR. PERRONE: I'm not sure who we should
4 study it in, but I think that we need to have
5 outcomes that make sense beyond self-reported pain
6 score. I just think that that's really how we've
7 gotten into this and this is why we need different
8 criterion.

9 If some of these people were on
10 90 milligrams twice a day in a 12-week escalation,
11 that gets to be a lot of drug for people, that the
12 only outcome has to be, "My pain got better." Your
13 pain is going to maybe get better, but you're going
14 to need increasing drug to maintain that over time
15 in most people, and that's not a very good outcome.

16 I wonder how many people went on to have
17 opioid-dependent chronic pain forever after being
18 initiated on this drug. We can't ask those
19 questions, but that's part of our problem with this
20 epidemic.

21 DR. BATEMAN: Can I make a point along those
22 lines? I think another issue is that the trial is

1 only 12 weeks in length, and we know opioids, at
2 first, can work, but over time, tolerance develops
3 and patients start to develop side effects from
4 opioids.

5 I think, in the future, I'd certainly like
6 to see much longer trials to establish the efficacy
7 of chronic opioid therapy and opioids that are
8 being proposed.

9 DR. BROWN: Ms. Chauhan?

10 DR. CHAUHAN: Cynthia Chauhan. I agree
11 with Dr. Perrone's concerns. There's a whole bank
12 of quality of life and patient-reported outcome
13 forms that are very specific and address very
14 specific issues. I think engaging those in these
15 trials would be a very helpful thing and bringing
16 in quality-of-life expertise to the discussion.

17 It's a well developed area that I think has
18 very strong implications for this. And I think
19 you're right. As you move on in chronic pain,
20 sometimes the amount of medication you need changes
21 and your ability to maintain your quality of life
22 fluctuates very much.

1 I think that's a very important issue to
2 look at.

3 DR. BROWN: Dr. Besco?

4 DR. BESCO: I guess I'll just kind of build
5 upon the statement, what is being talked about now.
6 But I've been sitting here today and just kind of
7 thinking about what the actual clinical need is for
8 this product in the community, especially since
9 there's really no shortage of alternative extended-
10 release products available today.

11 To me, it doesn't really take a scientific
12 study to conclude that if a product like this isn't
13 available, then the public can't misuse it or abuse
14 it. I also could see that this would be very cost
15 prohibitive for patients that would actually
16 benefit from it. Just some additional comments
17 about practicality of the product.

18 DR. BROWN: I want to try to get us back on
19 track here. As I said before, we are here today to
20 consider this one drug. And while the comments and
21 questions that have been asked are very important
22 and I honored those, we need to deal with the

1 question at hand, which is should we recommend that
2 the FDA approve Ventrela ER for the proposed
3 indication, management of pain severe enough to
4 require daily, around-the-clock, long-term opioid
5 treatment. Dr. Choudhry?

6 DR. CHOUDHRY: I agree wholeheartedly with
7 Dr. Perrone and the idea that we need different
8 outcome measures. That said, if we look at the
9 indication we're looking at -- and I think,
10 Dr. Brown, you're partly getting at this
11 idea -- we're looking at the indication for the
12 management of pain, although the outcome we really
13 care about is functional -- or one of the outcomes
14 we care about is disability, and ADLs, and
15 functional return or preservation of function.

16 Pain is also an outcome that's of relevance.
17 I have some direct experience in pain. And to that
18 end, at least in the industry's briefing documents,
19 in table 9, the outcomes in the clinical outcomes
20 efficacy studies, which are the only two that we
21 really have to go on to speak to this, 3079 and
22 3103, the primary efficacy variables were both

1 pain-related and the rationale that's stated is
2 that this is the U.S. FDA preferred primary
3 variable based on end-of-phase-2 meeting minutes.

4 To some extent, there's a moving target
5 problem here, out of fairness. To the question of
6 whether or not this actually improves pain, the
7 answer may well be yes, and that's what the
8 industry was asked to demonstrate.

9 Should we know that it improves other
10 things, as well? Absolutely. And perhaps one of
11 the things we could do is require that those sorts
12 of studies be done.

13 DR. BROWN: Dr. Morrato?

14 DR. MORRATO: Yes, thank you. Elaine
15 Morrato. Just to cap the conversation, I was
16 wondering if the FDA might give us an update. I
17 know one of the points in responding to the
18 prescription opioid epidemic in the U.S. that was
19 laid out by the commissioner or others was this
20 discussion around developing a better evidence
21 base.

22 It was mentioned in the article that the

1 Department of Health and Human Services, and
2 agencies, and the FDA are developing a program for
3 mandated industry-funded studies and a coordinated
4 plan for conducting research that will answer some
5 of these questions that we've been talking about in
6 order to guide opioid use.

7 I was just wondering if there is any update
8 on the status of that planning activity.

9 DR. HERTZ: I can tell you there's a lot of
10 discussion going on internally. I don't have a lot
11 that I can report out right now. We've discussed
12 in some other contexts -- and I don't want to get
13 too far into it right now -- some of the challenges
14 with longer studies. Trying to keep somebody on a
15 placebo who would otherwise warrant an opioid for
16 an extended period of time or something else that's
17 less effective is very difficult to do.

18 People drop out and then we have missing
19 data problems. We're looking at some other study
20 designs, but it's a big challenge. What I will
21 point out, though, is that even though these are
22 often 12-week studies, many times, these are

1 patients who have already been on opioids for an
2 extended period of time.

3 We're not testing them de novo always for 12
4 weeks. They're people who have been on opioids for
5 sometimes quite a long time before enrolling, and
6 then we're testing the efficacy of this opioid in
7 that study of those patients.

8 It's not the kind of controlled extended
9 period, because even the non-opioids that are
10 approved for chronic pain or pain-related
11 indications, like osteoarthritis, rheumatoid
12 arthritis, the neuropathic pain conditions that
13 have them, are also based on similar duration
14 studies, because the feasibility of extended
15 controlled studies is very challenging not just
16 with an opioid, but in the whole area.

17 We're working on that, because one might
18 almost argue that if we kept these people in the
19 study for a year and half of them stayed on
20 placebo, perhaps we enrolled the wrong group of
21 people because half of them managed on placebo for
22 a year, even with little rescue. Then it would be

1 argued that that's not even the right population to
2 study.

3 We're sensitive to the importance and we're
4 working very hard to sort out what that could look
5 like for the purposes of providing better support
6 for this.

7 I'm listening carefully to the interest in
8 the other outcomes, but there are other challenges
9 and we're not going to give up trying to help
10 provide more data to inform when this chronic use
11 has -- well, to inform the question that's been put
12 out there.

13 DR. BROWN: Dr. Campopiano?

14 DR. CAMPOPIANO: I feel like I'm coming out
15 of left field, but it goes a little bit to what is
16 the message we're sending. And the efficacy
17 studies for pain relief, I was a little -- given
18 the amount of guidance in family medicine and in
19 general practice about low back pain and the use of
20 opiates for low back pain, I was a little surprised
21 that I was confronted with making a decision about
22 recommending an effective opiate for low back pain.

1 I realize that's not the indication, but
2 that is the diagnosis that was chosen to put there.
3 We're looking at pain relief in a condition that,
4 in clinical practice, you're not supposed to use
5 opiates to treat.

6 I thought, okay, well, what message is that
7 sending. And then you're looking at a product that
8 has special labeling for abuse deterrence, but I
9 don't see where those added properties, that appear
10 to be quite benign, did we look at what is the
11 person with pain. If I'm just saying to my
12 patient, "I can provide you this old hydrocodone
13 product or I can provide you this new hydrocodone
14 product that's abuse deterrent," they're going to
15 say, "How is it going to be different for me?"

16 I can say, "Well, it looks like it's going
17 to relieve your pain about as well as the old one
18 does," but I can't tell them anything about any
19 side effects, because what was presented was
20 compared to placebo, which is standard practice.

21 But now I'm trying to make a decision
22 between two different drugs with different

1 properties, one of which has special labeling
2 because of the properties, and I can't tell the
3 intended patient that I need to treat what the
4 impact of those properties might be on them.

5 I just feel like I'd be putting my colleague
6 out there and his or her patient sitting in front
7 of them and they'd go, "Well, it's for the social
8 good. It's for the benefit of the health of the
9 public that we put these extra features in your
10 drug. I can't tell you whether you're going to be
11 more constipated, have more stomach upset, or what.
12 I can't even begin to speculate."

13 I just feel a little concerned about
14 stamping it approved and then putting it out there
15 with some kind of major unknowns when it comes to
16 what does the patient that this is intended for
17 need to be told about this drug and what should
18 their provider be prepared to tell them, on top of
19 the concerns I already shared about what do we
20 really know about its abuse deterrence.

21 DR. BROWN: Dr. Besco?

22 DR. BESCO: I'm sorry.

1 DR. BROWN: Dr. Bateman?

2 DR. BATEMAN: I agree that the pivotal
3 study 3103 that looked at the efficacy showed a
4 reduction in the increase in pain scores associated
5 with treatment. But I think it's worth noting that
6 the difference in the pain scores, the primary
7 endpoint between the drug and the placebo were only
8 0.6 points on a 10-point Likert scale.

9 That's a very small amount of improvement, I
10 think, and perhaps the general public and
11 clinicians don't realize how small the effects are,
12 particularly given all the harms associated with
13 these medications.

14 DR. BROWN: Dr. Sprintz?

15 DR. SPRINTZ: Thanks. Mike Sprintz. I
16 definitely agree with what a lot of people have
17 already shared, especially what Dr. Brown brought
18 up. And one of the challenges that I find is, in
19 both practicing pain medicine and addiction
20 medicine and when we look at pain, here at the FDA,
21 we're evaluating a drug.

22 When we look at the treatment of pain, it

1 really ideally should be an integrative,
2 comprehensive approach that addresses both the
3 physical aspects, the psychological aspects of
4 pain, not just one or the other.

5 When we look at comprehensive, when we start
6 to measure outcomes of quality of life and
7 functional improvement of relapse prevention or to
8 decrease the probability of progression of
9 addictive disease, it is very complex.

10 Adding all those things in, in terms of
11 long-term studies, I think, is challenging when we
12 look at it from the pharmaceutical standpoint,
13 because, in essence, I'm being asked to evaluate a
14 drug for treatment of pain, but it's not this
15 absolute in this vacuum, because an opioid is one
16 of the things that we would use as a means of
17 managing moderate to severe pain in a patient, in
18 addition to physical therapy, complementary
19 therapy, psychological therapy, interventions, and
20 other medications that may be non-opioids or have
21 low abuse potential.

22 I say all of that to really make it clear

1 that that's one of the big challenges in defining,
2 okay, this solves pain, because it's much bigger
3 than that. And I think moving forward, a lot of
4 the suggestions here were really, really important
5 moving on, as we start to change the way we look at
6 pain and how we evaluate pain treatments.

7 DR. BROWN: We will be using an electronic
8 voting system for this meeting. Once we begin the
9 vote, the buttons will start flashing and will
10 continue to flash even after you have entered your
11 vote. Please press the button firmly that
12 corresponds to your vote.

13 If you are unsure of your vote or you wish
14 to change your vote, you may press the
15 corresponding button until the vote is closed.
16 After everyone has completed their vote, the vote
17 will be locked in. The vote will then be displayed
18 on the screen.

19 The DFO will read the vote from the screen
20 into the record. Next, we will go around the room
21 and each individual who voted will state their name
22 and vote into the record. You can also state the

1 reason why you voted as you did, if you want to.

2 We will continue in this same manner for all
3 questions until we have gone all the way around the
4 room. So if you would, please, press the button on
5 your microphone that corresponds to your vote.

6 We'll have approximately 20 seconds to vote.

7 Please press the button firmly.

8 After you've made your selection, the light
9 may continue to flash. If you are unsure of your
10 vote or you wish to change your vote, please press
11 the corresponding button again before the vote is
12 closed.

13 (Vote taken.)

14 DR. BEGANSKY: The vote was 14 yes, 3 no,
15 zero abstain.

16 DR. BROWN: Everyone has voted. The vote is
17 now complete. Now that the vote is complete, we'll
18 go around the table and have everyone who voted
19 state their name, their vote, and if you want to,
20 you can state the reason why you voted one way or
21 the other.

22 I think we're going to start with

1 Dr. Wesselmann.

2 DR. WESSELMANN: I voted yes because I --

3 DR. BROWN: Please state your name.

4 DR. WESSELMANN: Ursula Wesselmann. I voted
5 yes, because I was impressed by the data that were
6 presented to us, especially for the IV preparation.
7 I think there is only modest evidence for the nasal
8 and oral route. But we voted it as one package.
9 It is a drug that is already on the market and I
10 think it's the right step forward to package it in
11 an abuse-deterrent preparation.

12 DR. GERHARD: Toby Gerhard, Rutgers. I
13 voted no. It was very close for me, a very
14 difficult decision in the end. Dr. Perrone's
15 comment really pushed me to the no vote. I
16 completely agree that it's somewhat inconsistent
17 certainly when compared to any other extended-
18 release opiate on the market.

19 This product isn't any worse and, in that
20 sense, should be approved. However, if we don't
21 start to rethink how we approve and regulate
22 opiates in general, long-acting opiates

1 specifically, I think we'll never kind of really
2 change the problems that we have with the opiate
3 epidemic. And I think comments regarding the
4 abuse-deterrent properties come later.

5 DR. HIGGINS: Jennifer Higgins. I voted
6 yes, and largely because I want there to be greater
7 options for consumers.

8 DR. CHAUHAN: Cynthia Chauhan. I voted yes.
9 I think that it's a small step, but an important
10 step. And I think there are a subset of patients
11 we haven't discussed who will not take the
12 appropriate opiate because they fear addiction.
13 For those patients, something like this helps them
14 move past what may, in that case, be an irrational
15 fear.

16 DR. SPRINTZ: I'm Mike Sprintz. I voted
17 yes. I really struggled with this and it was a
18 hesitant yes, because everything that we've been
19 discussing in terms of how things need to change in
20 relation as to how we define pain and how we treat
21 pain is a very specific question.

22 I answered that question. I think there was

1 some evidence, too, or enough to support a yes
2 vote, but I always say that with a little asterisk
3 or a caveat that we do need to change how we are
4 evaluating endpoints in terms of pain and looking
5 at other solutions much farther beyond opioids in
6 order to comprehensively treat pain and decrease
7 addiction and abuse risk.

8 DR. CAMPOPIANO: Melinda Campopiano. I
9 voted no, for basically the reasons I've already
10 described.

11 DR. McCANN: Mary Ellen McCann. I voted
12 yes, because I read the question very narrowly, but
13 I do agree with Dr. Perrone that we should be using
14 quality-of-life parameters to determine whether a
15 drug is efficacious or not.

16 DR. EMALA: Charles Emala. I voted yes. I
17 thought that data showed it had an acceptable
18 improvement in pain scores over placebo.

19 DR. KAYE: Alan Kaye. I voted yes, for the
20 reasons described. And I do hope, in the future,
21 as Dr. Perrone mentioned, that we have the FDA
22 define a higher bar or point so that we can get it

1 the best we can in the future. Thanks.

2 DR. PERRONE: Jeanmarie Perrone. I voted
3 no, primarily because I'm really concerned about
4 the number of very high-dose opioid drugs on the
5 market. OxyContin was a high-dose all-in-one-pill
6 drug and although it didn't have abuse-deterrent
7 formulations when it first came out, it was that
8 high dose that really got people problems with
9 addiction and abuse.

10 This is another high-dose drug. It does
11 have some abuse-deterrent formulation, but it's
12 really the oral users who are still going to get as
13 much as 90 milligrams in a dose, even in a patient
14 who's taking it as prescribed by a physician, who
15 may still feel euphoria associated with just a
16 bigger dose at one time and that much of patients
17 experiencing those drugs, experiencing those
18 sensations are not in the expert drug abusers, but
19 in the new initiates to opioids who may have a
20 genetic predisposition or another predisposition to
21 addiction that may occur in the exposure of very
22 high doses, whether there's an abuse-deterrent

1 formulation or not.

2 DR. BROWN: This is Rae Brown. I voted yes.

3 DR. SHOBEN: Abby Shoben. I voted yes. I
4 thought that their phase 3 study 3103 had met the
5 standard for approval on the basis of pain
6 reduction.

7 DR. MORRATO: Elaine Morrato. I voted yes.
8 I also don't disagree with the concerns that were
9 raised by Dr. Perrone. I voted yes, because I felt
10 the clinical development program met the standards
11 as specified by the FDA. There was pharmacokinetic
12 evidence consistent with what you might expect with
13 generic drug approvals, and it was augmented with
14 the clinical efficacy safety data.

15 But having said that, I really do think it's
16 important to FDA's efforts and the urgency of
17 developing a better evidence base to guide long-
18 term chronic use of opioids.

19 DR. CHOUDHRY: Niteesh Choudhry. I voted
20 yes, as well, and I think I'm with perhaps the
21 majority of the committee in the "yes, however"
22 category. If there was another button, I'm sure

1 many of us would have picked that one instead.

2 Again, from the pure efficacy standard, I
3 think it met the standard. There needs to be much
4 more done in terms of figuring out outcomes for all
5 of us.

6 DR. WALSH: I'm Sharon Walsh and I voted
7 yes, because I think that the sponsor met the
8 standard for demonstrating efficacy in this study
9 as it was designed, and agree with the "however"
10 that the outcomes should be revisited in future
11 studies.

12 Then with respect to the changing landscape,
13 because of your comment, Dr. Perrone, about the
14 lower back pain, I would imagine that their phase 3
15 trial was completed well before those new
16 recommendations came out from the CDC. And low
17 back pain, despite its low yield in change scores
18 in these problematic trials, has been one of the
19 standard approaches.

20 DR. BESCO: Kelly Besco. I'm also a member
21 of the "yes, however" camp. I definitely agree
22 with the comments that have been made today about

1 our need to further understand the influence of
2 outcomes based on multi-modal methods that are
3 available today to manage pain.

4 I'm also concerned about the vast number of
5 extended-release products available for potential
6 abuse. But like others have said, I thought that
7 the data presented today was consistent with
8 efficacy of results available for similar products
9 that have been FDA-approved.

10 DR. BATEMAN: Brian Bateman. I voted yes.
11 I read this question narrowly, not as a referendum
12 on chronic opioid use overall and the risks and
13 benefits of that approach. But with respect to
14 this agent, the data clearly meet the efficacy and
15 safety standards.

16 DR. BROWN: For our next question, this is
17 for a vote of the members of the committee. If
18 approved, should Ventrela ER be labeled as an
19 abuse-deterrent product by the oral route of abuse?
20 Are there any further questions concerning this?
21 We've already discussed this, to some extent, but
22 if anyone has any further questions or comments,

1 we'd be pleased to entertain them now.

2 Dr. Choudhry?

3 DR. CHOUDHRY: I'm not sure, Dr. Brown, if
4 you want this in commentary, but there's something
5 about the label that we talked about earlier in the
6 morning, Dr. Levin's presentation, the presentation
7 of the information there and whether or not it's
8 useful to providers.

9 At least as a comment, to the extent that we
10 make a recommendation that this should indeed be
11 the case, perhaps associated with that is the idea
12 that the presentation of the information to
13 providers actually be rethought.

14 I personally, despite the fact that I have a
15 doctorate from Harvard and I'm a practicing
16 physician, find some of the presentation actually
17 pretty confusing. To that end, any recommendation
18 we make about approving such a change in the label
19 should be associated with the idea that we actually
20 think about how those numbers are presented to
21 prescribers and to patients.

22 DR. BROWN: I think this is exactly the

1 right time to discuss that. If you have any
2 comments relating to that for the FDA, I'm sure
3 they'd be pleased to get those now.

4 DR. CHOUDHRY: Sure, Dr. Brown. Thank you.
5 For example, if I could just refer to Dr. Levin's
6 talk, again, the proposed language -- and I
7 appreciate comments from Dr. Hertz later that these
8 are just potential suggestions. But what I found
9 particularly difficult to understand -- or not
10 difficult to understand, but potentially confusing,
11 are Figure 2, which was on slide 9, and the
12 analogous Figure 4, which is on slide 12 of
13 Dr. Levin's presentation.

14 I obviously appreciate the intent here, but
15 if this is the proportion of people receiving a
16 given threshold, a percent reduction, but it takes
17 quite a bit of time to figure out what this means,
18 and it doesn't necessarily help me in terms of what
19 I do for my individual patient. It tells me if I
20 have a different risk tolerance threshold, what
21 should I do, or perhaps if I have some vague
22 assessment of my patient's potential for abuse,

1 what I might do. But it really doesn't provide a
2 lot of guidance.

3 I think, in contrast, the tables, in this
4 particular case, especially if we follow the logic
5 of drug taking, take drug again kind of outcomes,
6 with the Table 4 and analogous Table 5, which are
7 on slides 8 and 11, respectively, at least from a
8 transparency perspective, they are easier to
9 follow.

10 We can make several arguments when
11 communicating information. Some people like
12 visual. Some people like tabular. But more is not
13 always better. To the extent that some of this
14 might be confusing and/or misleading, I'd at least
15 rethink the graphical representation of what we're
16 looking at.

17 DR. BROWN: Thank you. Dr. Morrato?

18 DR. MORRATO: Just to build on this -- this
19 is Elaine. When you look at the graph, it implies
20 that it's a larger population that may have been
21 studied than it actually was. You're looking at an
22 N of 34. Those percentages, people are going to be

1 naturally drawn to extrapolate to a population, and
2 I think you run the risk of overinterpreting. But
3 I agree with everything you just said,
4 Dr. Choudhry.

5 DR. GERHARD: Toby Gerhard, Rutgers. In
6 this same line, I think to make on the label
7 clearer -- and I'm not a clinician, so I'm not sure
8 to what extent that's perfectly clear to all
9 practicing clinicians or patients, but I would
10 think that it might not be.

11 I think it's important to maybe make
12 explicit in the context of these abuse deterrence
13 statements that abuse isn't a pre-condition to
14 addiction.

15 You can get addicted to these drugs without
16 actively abusing them or seeking to abuse them. In
17 that sense, an abuse-deterrent formulation isn't
18 necessarily a safeguard to addiction. And I think
19 to make that clear might be something to be
20 considered.

21 There are many people that know much more
22 about this than I do, but I think it's important to

1 put it specifically in that same section when
2 people read that information, that they don't get
3 that wrong impression, because I think that's
4 really the unintended consequence that we've been
5 talking about a little bit.

6 DR. BROWN: I agree with you and in the
7 past, we've heard other people say that in the
8 following form, there is no safe dose of opiates.

9 Does anybody have any other questions or
10 comments before we vote on this question number 3,
11 which is, if approved, should Ventrela ER be
12 labeled as an abuse-deterrent product by the oral
13 route of abuse? Any other questions?

14 (No Response.)

15 DR. BROWN: If not, please press the button
16 on your button that corresponds to your vote. You
17 will have approximately 20 seconds to vote. Please
18 press the button firmly. After you've made your
19 selection, the light may continue to flash. If you
20 are unsure of your vote or you wish to change your
21 vote, please press the corresponding button again
22 before the vote is closed.

1 (Vote taken.)

2 DR. BEGANSKY: The vote was 14 yes, 3 no,
3 zero abstain.

4 DR. BROWN: Let's start this time with
5 Dr. Bateman.

6 DR. BATEMAN: Brian Bateman. I voted yes,
7 based on the data we've seen suggesting that
8 Ventrela is resistant to high-yield extraction
9 using the most common and straightforward methods
10 that would be utilized by potential abusers.

11 We've also seen some reductions in PK and PD
12 measures associated with oral use of the physically
13 manipulated drug. And on that basis, I voted yes.

14 DR. BESCO: Kelly Besco. I also voted yes.
15 I felt that the data provided today did establish
16 that Ventrela has properties that one would expect
17 to deter abuse, but I do want to acknowledge
18 Dr. Gerhard's comments about while these products
19 are abuse deterrent, addiction is still a
20 possibility.

21 I believe that there are some warranted
22 changes potentially to the labeling of these

1 products.

2 DR. WALSH: Sharon Walsh. And I voted yes,
3 because I believe that both the in vitro and in
4 vivo Category 3 data suggest that there's
5 sufficient evidence for abuse deterrence by the
6 oral route.

7 DR. CHOUDHRY: Niteesh Choudhry. I voted
8 yes, for the reasons previously stated.

9 DR. MORRATO: Elaine Morrato. I voted yes,
10 considering the totality of the evidence.

11 DR. SHOBNEN: Abigail Shoben. I voted yes,
12 for the reasons previously stated.

13 DR. BROWN: Rae Brown. I voted yes, for all
14 the reasons previously stated.

15 DR. PERRONE: Jeanmarie Perrone. I voted
16 yes.

17 DR. KAYE: Alan Kaye. I voted yes.

18 DR. EMALA: Charles Emala. I voted no,
19 because of the Category 1 studies, where I'm
20 skeptical that with an orally ingestible solvent
21 that many abusers would have access to, the drug is
22 easily extractible and ingestible.

1 DR. McCANN: Mary Ellen McCann. I voted no.
2 I totally bought Dr. Emala's argument. I also
3 think when you're dealing with oral medications, if
4 one pill doesn't work, you just take two, you just
5 take three. So I think the bar should be higher
6 for oral deterrence.

7 DR. CAMPOPIANO: Melinda Campopiano. I
8 voted yes.

9 DR. SPRINTZ: Michael Sprintz. I voted no,
10 for the same reasons as Dr. Emala and Dr. McCann in
11 regard to the Category 1 issues. I wasn't
12 convinced that it actually would be of significance
13 in a clinical practice.

14 DR. CHAUHAN: Cynthia Chauhan. I voted yes.

15 DR. HIGGINS: Jennifer Higgins. I voted
16 yes.

17 DR. GERHARD: Toby Gerhard. I voted yes. I
18 think the drug represents an incremental
19 improvement regarding abuse deterrence.

20 DR. WESSELMANN: Ursula Wesselmann. I voted
21 yes, for the reasons already stated.

22 DR. BROWN: We're going to move ahead to

1 question four. If approved, should Ventrela ER be
2 labeled as an abuse-deterrent product by the nasal
3 route of abuse? Any questions or comments? As I
4 said before, we discussed this to some extent
5 before, but if anyone has any other questions or
6 concerns that they'd like to speak to now.

7 (No response.)

8 DR. BROWN: If not, please press the button
9 on your microphone that corresponds to your vote.
10 You'll have approximately 20 seconds to vote.
11 Please press the button firmly. After you've made
12 your selection, the light may continue to flash.
13 If you're unsure of your vote or you want to change
14 your vote, please press the corresponding button
15 again before the vote is closed.

16 (Vote taken.)

17 DR. BEGANSKY: The vote was 14 yes, 3 no,
18 and zero abstain.

19 DR. BROWN: We'll start on the other side
20 this time.

21 DR. WESSELMANN: Ursula Wesselmann. I voted
22 yes, because the data presented provide moderate

1 convincing evidence that this is an abuse-deterrent
2 preparation and I see it as a step forward to make
3 opioids safer that are needed for patients, for
4 certain pain indications, and yet are not available
5 necessarily or they deter others who want to abuse
6 these drugs that are necessary for a certain
7 patient population.

8 DR. GERHARD: Toby Gerhard. I voted yes.
9 As before, I think it's a small incremental
10 improvement.

11 DR. HIGGINS: Jennifer Higgins. I voted
12 yes.

13 DR. CHAUHAN: Cynthia Chauhan. I voted yes,
14 for the reasons stated.

15 DR. SPRINTZ: I'm Michael Sprintz. I voted
16 yes, for those reasons. I think it was an
17 incremental improvement.

18 DR. CAMPOPIANO: Melinda Campopiano. I
19 voted no.

20 DR. McCANN: Mary Ellen McCann. I voted
21 yes.

22 DR. EMALA: Charles Emala. I voted yes,

1 based on the viscosity results and, also, the
2 likeability scores at early time points that I
3 thought showed deterrence.

4 DR. KAYE: Alan Kaye. I voted yes, for the
5 reasons mentioned.

6 DR. PERRONE: Jeanmarie Perrone. I voted
7 no, because the Category 3 data about finely milled
8 ground drug had very high liking, very comparable
9 to the IR product.

10 DR. BROWN: Rae Brown. I voted yes.

11 DR. SHOBNEN: Abby Shoben. I voted yes.
12 This, I think, is a slight incremental improvement
13 over the IR product and it remains to be seen how
14 it would compare to the recently-approved abuse-
15 deterrent product, but I understand that wasn't
16 available at this time.

17 DR. MORRATO: Elaine Morrato. I voted yes,
18 and I agree with the comments of Dr. Shoben.

19 DR. CHOUDHRY: Niteesh Choudhry. I voted
20 yes. I also agree that there's some lack of
21 clarity in the data or lack of consistency,
22 although on balance, probably directs towards

1 greater safety. For me, the most compelling
2 outcome was, again, this take drug again outcome,
3 for which there is seeming superiority compared to
4 the IR product.

5 DR. WALSH: Sharon Walsh. And I voted no,
6 largely because of the very small margin of change
7 in the direction of abuse deterrence in the
8 Category 3 study, coupled with the large
9 variability across subjects. And then I also
10 thought that the in vitro data that used the nasal
11 fluid, the promise of that wasn't borne out in the
12 in vivo data.

13 DR. BESCO: Kelly Besco. I voted yes, for
14 reasons that have already been stated.

15 DR. BATEMAN: Brian Bateman. I voted yes,
16 for the reasons stated.

17 DR. BROWN: We're going to move on to
18 question five now. If approved, should Ventrela ER
19 be labeled as an abuse-deterrent product by the
20 intravenous route of abuse? Are there any
21 questions or comments about this? Again, we've had
22 a little bit of discussion. If anyone has any

1 further discussion of Ventrela ER relating to the
2 intravenous route of abuse, we'd be pleased to
3 entertain those at this point.

4 (No response.)

5 DR. BROWN: Hearing none, please press the
6 button on your microphone that corresponds to your
7 vote. You'll have approximately 20 seconds to
8 vote. Please press the button firmly. After
9 you've made your selection, the light may continue
10 to flash. If you're unsure of your vote or you
11 wish to change your vote, please press the
12 corresponding button again before the vote is
13 closed.

14 (Vote taken.)

15 DR. BEGANSKY: The vote was 16 yes, 1 no,
16 zero abstain.

17 DR. BROWN: Can we start with Dr. Bateman?

18 DR. BATEMAN: Brian Bateman. I voted yes,
19 because of the data from the Category 1 studies
20 showing the high viscosity of the drug and the
21 challenge to syringeability.

22 DR. BESCO: Kelly Besco. I voted yes, for

1 the exact reasons stated by Dr. Bateman.

2 DR. WALSH: Sharon Walsh. And I voted yes,
3 for the same reasons described before.

4 DR. CHOUDHRY: Niteesh Choudhry. I voted
5 yes, for those same reasons.

6 DR. MORRATO: Elaine Morrato. I voted yes,
7 for the same reasons.

8 DR. SHOBN: Abigail Shoben. I voted yes.

9 DR. BROWN: Rae Brown. I voted yes.

10 DR. PERRONE: Jeanmarie Perrone. I voted
11 yes.

12 DR. KAYE: Alan Kaye. I voted yes.

13 DR. EMALA: Charles Emala. I voted yes.

14 DR. McCANN: Mary Ellen McCann. I voted
15 yes.

16 DR. CAMPOPIANO: Melinda Campopiano. I
17 voted no, just because from experience with other
18 viscous products, they do get injected and I don't
19 have any basis to say that this is less injectable
20 than those other viscous products getting injected.
21 I'd have to say no.

22 DR. SPRINTZ: Michael Sprintz. I voted yes.

1 DR. CHAUHAN: Cynthia Chauhan. I voted yes.

2 DR. HIGGINS: Jennifer Higgins. I voted
3 yes.

4 DR. GERHARD: Toby Gerhard. I voted yes.

5 DR. WESSELMANN: Ursula Wesselmann. I voted
6 yes, but I also wanted to reemphasize what I said
7 before, that I think that the post-marketing
8 studies regarding the abuse deterrence are very,
9 very important.

10 DR. BROWN: I'd like to thank the committee
11 for their hard work today. I'd like to also thank
12 the folks from Teva for concise presentations and
13 being able to answer all of our questions.

14 Prior to adjournment, are there any last
15 comments from the folks at FDA?

16 DR. HIGGINS: Thank you, Sharon. I just
17 wanted to say that I really hope the post-marketing
18 studies have much more representative populations,
19 even older adults if possible, and have a greater
20 number of measures used to evaluate functionality.

21 DR. HERTZ: I just want to say, also, thank
22 you. You folks have gotten very efficient at this,

1 and I thank you. We're listening and look forward
2 to tomorrow, and thank you.

3 **Adjournment**

4 DR. BEGANSKY: For the panel members who are
5 coming back tomorrow, make sure you take everything
6 with you from today. If you leave it here, it
7 probably won't be here tomorrow.

8 (Whereupon, at 2:54 p.m., the open session
9 was adjourned.)

10

11

12

13

14

15

16

17

18

19

20

21

22