

1 FOOD AND DRUG ADMINISTRATION
2 CENTER FOR DRUG EVALUATION AND RESEARCH

3
4
5
6 MEETING OF THE PEDIATRIC SUBCOMMITTEE OF THE
7 ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

8
9
10 Morning Session

11
12 Tuesday, June 28, 2016

13 8:00 a.m. to 11:08 a.m.

14
15
16 FDA White Oak Campus
17 10903 New Hampshire Avenue
18 Building 31 Conference Center
19 The Great Room (Rm. 1503)
20 Silver Spring, Maryland
21
22

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 Lauren D. Tesh, PharmD, BCPS

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7
8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Deborah K. Armstrong, MD**

10 Professor of Oncology

11 The Sidney Kimmel Comprehensive Cancer Center at

12 Johns Hopkins

13 The Johns Hopkins University School of Medicine

14 Baltimore, Maryland

15
16 **Alberto S. Pappo, MD**

17 *(Chairperson, pedsODAC)*

18 Member and Head, Division of Solid Malignancies

19 St Jude Children's Research Hospital

20 Professor of Pediatrics

21 University of Tennessee Health Science Center

22 Memphis, Tennessee

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Non-**
2 **Voting)**

3 **Phuong Khanh (P.K.) Morrow, MD, FACP**

4 *(Industry Representative)*

5 Executive Medical Director, Amgen Oncology

6 Therapeutic Area Head, US Medical Organization

7 Thousand Oaks, California

8
9 **TEMPORARY MEMBERS (Voting)**

10 **Peter C. Adamson, MD**

11 *(Day 1 Only)*

12 Chair, Children's Oncology Group

13 Alan R. Cohen Endowed Chair in Pediatrics

14 The Children's Hospital of Philadelphia

15 Philadelphia, Pennsylvania

16
17 **Patrick Brown, MD**

18 Director, Pediatric Leukemia Program

19 Associate Professor of Oncology and Pediatrics

20 Sidney Kimmel Comprehensive Cancer Center

21 Johns Hopkins University School of Medicine

22 Baltimore, Maryland

1 **Steven G. DuBois, MD, MS**

2 Director, Experimental Therapeutics

3 Dana-Farber/Boston Children's Hospital

4 Faculty of Pediatrics, Harvard Medical School

5 Boston, Massachusetts

6
7 **Ira J. Dunkel, MD**

8 Member Memorial Sloan-Kettering Cancer Center

9 Professor of Pediatrics

10 Weill Cornell Medical College Department of

11 Pediatrics

12 New York, New York

13
14 **Julia Glade Bender, MD**

15 Associate Professor of Pediatrics at Columbia

16 University Medical Center

17 Associate Director, Division of Pediatric

18 Hematology, Oncology and Stem Cell

19 Transplantation

20 Medical Director, Developmental Therapeutics and

21 Precision Medicine Programs

22 New York, New York

1 **Pamela Haylock**

2 *(Acting Consumer Representative)*

3 Medina, Texas

4

5 **Tobey J. MacDonald, MD**

6 Aflac Chair for Pediatric Neuro-Oncology

7 Professor of Pediatrics

8 Emory University School of Medicine

9 Director, Pediatric Neuro-Oncology Program

10 Aflac Cancer & Blood Disorders Center

11 Children's Healthcare of Atlanta

12 Atlanta, Georgia

13

14 **Gigi McMillan**

15 *(Patient Representative)*

16 Manhattan Beach, California

17

18

19

20

21

22

1 **Kathleen A. Neville, MD, MS**

2 Director, Experimental Therapeutics Program
3 Professor of Pediatrics, University of Arkansas for
4 Medical Sciences
5 Section of Clinical Pharmacology and Toxicology
6 Arkansas Children's Hospital
7 Little Rock, Arkansas

8

9 **Elizabeth A. Raetz, MD**

10 Professor of Pediatrics
11 Pediatric Hematology/Oncology
12 University of Utah
13 Huntsman Cancer Institute
14 Primary Children's Hospital
15 Salt Lake City, Utah

16

17

18

19

20

21

22

1 **Nita L. Seibel, MD**

2 Head, Pediatric Solid Tumor Therapeutics
3 Clinical Investigations Branch, CTEP/Division of
4 Cancer Treatment and Diagnosis
5 National Cancer Institute, NIH
6 Adjunct Professor of Pediatrics
7 George Washington University
8 School of Medicine and Health Sciences
9 Bethesda, Maryland

10
11 **Katherine E. Warren, MD**

12 Head, Pediatric Neuro-Oncology
13 Pediatric Oncology Branch
14 National Cancer Institute, NIH
15 Bethesda, Maryland

1 **Brenda Weigel, MD, MSc**

2 Associate Professor

3 Developmental Therapeutics Chair

4 Children's Oncology Group

5 Division Director, Pediatric Hematology/Oncology

6 University of Minnesota

7 Minneapolis, Minnesota

8
9 **FDA PARTICIPANTS (Non-Voting)**

10 **Richard Pazdur, MD**

11 Director

12 Office of Hematology Oncology Products (OHOP)

13 Office of New Drugs (OND), CDER, FDA

14
15 **Gregory Reaman, MD**

16 Associate Director for Oncology Sciences

17 OHOP, OND, CDER, FDA

18
19 **Amy Barone, MD**

20 *(Morning Session, Day 1)*

21 Medical Officer

22 DOP II, OHOP, OND, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Lori Ehrlich, MD, PhD

(Morning Session, Day 1 Only)

Medical Officer

DHP, OHOP, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	12
5	FDA Introductory Remarks/Presentation	
6	Gregory Reaman, MD	14
7	Topic 1: ABT-199 (Venetoclax) - AbbVie, Inc.	
8	Conflict of Interest Statement	
9	Lauren Tesh, PharmD, BCPS	18
10	Industry Presentation - AbbVie, Inc.	
11	Venetoclax for the Treatment of Pediatric	
12	Patients with Relapsed/Refractory Cancers	
13	Su Young Kim, MD, PhD	23
14	Clarifying Questions from Subcommittee	39
15	Questions to the Subcommittee and Discussion	66
16		
17		
18		
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Topic 2: Tazemetostat - Epizyme, Inc.	
4	Conflict of Interest Statement	
5	Lauren Tesh, PharmD, BCPS	88
6	Industry Presentation - Epizyme, Inc.	
7	Tazemetostat for the Treatment of Pediatric	
8	Subjects with Malignant Rhabdoid Tumors and	
9	Other INI1-Negative Tumors	
10	Peter Ho, MD, PhD	94
11	Clarifying Questions from Subcommittee	108
12	Questions to the Subcommittee and Discussion	139
13	Adjournment	157
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(8:00 a.m.)

Call to Order

Introduction of Subcommittee

1 DR. PAPP0: Good morning. I would first
2 like to remind everyone to please silence your cell
3 phones, smartphones, and any other devices, if you
4 have not already done so.

5 I would also like to identify the FDA press
6 contact, Angela Stark. If you are present, please
7 stand.

8 I would now like to ask all of the members,
9 consultants, FDA panel, and DFO to go around the
10 table and state their name into the record.

11 DR. MORROW: P.K. Morrow, medical
12 oncologist, Amgen.

13 DR. BROWN: Pat Brown, pediatric oncologist,
14 Johns Hopkins.

15 DR. WARREN: Kathy Warren, pediatric
16 neuro-oncology, National Cancer Institute.

17 DR. RAETZ: Elizabeth Raetz, pediatric
18 oncologist, University of Utah.

1 DR. DUNKEL: Ira Dunkel, pediatric oncology,
2 Memorial Sloan Kettering.

3 DR. DuBOIS: Steve DuBois, pediatric
4 oncology, Dana-Farber/Boston Children's.

5 MS. McMILLAN: Gigi McMillan, patient
6 liaison.

7 MS. HAYLOCK: Pamela Haylock, the acting
8 consumer representative.

9 DR. ARMSTRONG: Deborah Armstrong, medical
10 oncologist and chair of adult ODAC.

11 DR. PAPPO: Alberto Pappo, pediatric
12 oncology. I'm the chair.

13 DR. TESH: Lauren Tesh, DFO.

14 DR. NEVILLE: Kathleen Neville, pediatric
15 oncology and clinical pharmacology.

16 DR. WEIGEL: Brenda Weigel, pediatric
17 oncology, University of Minnesota.

18 DR. MacDONALD: Tobey MacDonald, pediatric
19 oncology, Emory University.

20 DR. GLADE BENDER: Julia Glade Bender,
21 pediatric oncology, Columbia University.

22 DR. SEIBEL: Nita Seibel, pediatric

1 oncologist, National Cancer Institute.

2 DR. ADAMSON: Peter Adamson, pediatric
3 oncology, clinical pharmacology, Children's
4 Hospital of Philadelphia.

5 DR. EHRLICH: Lori Ehrlich, pediatric
6 oncology at the FDA.

7 DR. BARONE: Amy Barone, pediatric oncology,
8 FDA.

9 DR. REAMAN: Gregory Reaman, FDA.

10 DR. PAPPO: We will now proceed with opening
11 remarks from Dr. Greg Reaman.

12 **FDA Introductory Remarks/Presentation**

13 DR. REAMAN: Thanks, Mr. Chairman. I'd like
14 to just thank all of the participants here for
15 coming. I know this is difficult, particularly
16 during this time of the year, but we really do very
17 much appreciate your participating in this
18 subcommittee meeting and providing consultation and
19 advice to the agency about the potential pediatric
20 development of some novel agents.

21 As you know from the background material
22 that you received, there are two pieces of

1 legislation which heavily impact pediatric drug
2 development, Pediatric Research Equity Act, or
3 PREA, and the Best Pharmaceuticals for Children
4 Act, or BPCA.

5 PREA has very little relevance to pediatric
6 cancer drug development because the mandate for
7 pediatric assessments and evaluations is driven by
8 the fact that the medication or drug product in
9 question has to be used for the same indication.
10 Cancers of adults and children are obviously very
11 different, and I don't have to tell you that.

12 We are attempting to maximally utilize the
13 authority provided to us by or through the BPCA.
14 The Best Pharmaceuticals for Children Act expressly
15 charged this pediatric subcommittee in carrying out
16 its mission to review and evaluate data concerning
17 the safety and effectiveness of marketed, as well
18 as investigational human drug products for use in
19 the treatment of pediatric cancers.

20 To do so, it shall evaluate and, to the
21 extent practicable, prioritize new and emerging
22 therapeutic alternatives available to treat

1 pediatric cancer, provide recommendations and
2 guidance to help ensure that children with cancer
3 have timely access to the most promising new cancer
4 therapies, and advise on ways to improve
5 consistency in the availability of new therapeutic
6 agents.

7 We're here for this meeting to discuss five
8 new products in varying stages of development, some
9 still investigational, some approved, some are
10 actually in the phase 1 evaluation in children,
11 some are not.

12 Our mission here, our objective here is to
13 really discuss these, even those that are in early
14 phase testing, to see what's next and to seek your
15 advice and input into how the agency might
16 formulate a written request to incentivize sponsors
17 to evaluate and develop these in a more timely
18 fashion, if and when appropriate.

19 Tomorrow afternoon, we'll have a general
20 discussion on a topic of considerable interest and
21 some controversy, sort of at the crossroads of
22 personalized medicine and ethical evaluation of

1 specific research procedures, but this one actually
2 involved in selection of personalized therapeutic
3 approaches in diffuse intrinsic pontine glioma.

4 Again, thank you. We appreciate you being
5 here. We appreciate your frank questions and
6 insight and look forward to a successful two days.
7 Thank you.

8 DR. PAPPO: Thank you very much, Dr. Reaman.
9 For topics such as those being discussed at today's
10 meeting, there are often a variety of opinions,
11 some of which are quite strongly held.

12 Our goal is that today's meeting will be a
13 fair and open forum for discussion of these issues
14 and that individuals can express their views
15 without interruption.

16 Thus, as a gentle reminder, individuals will
17 be allowed to speak into the record only if
18 recognized by the chairperson. We look forward to
19 a productive meeting.

20 In the spirit of the Federal Advisory
21 Committee Act and the Government in the Sunshine
22 Act, we ask that the advisory committee members

1 take care that their conversations about the topic
2 at hand take place in the open forum of the
3 meeting. We are aware that members of the media
4 are anxious to speak with the FDA about these
5 proceedings. However, FDA will refrain from
6 discussing the details of this meeting with the
7 media until its conclusion. Also, the committee is
8 reminded to please refrain from discussing the
9 meeting topic during breaks or lunch. Thank you
10 very much.

11 We will now proceed with topic 1, venetoclax
12 from AbbVie Incorporated. Dr. Lauren Tesh will
13 read the conflict of interest statement for this
14 session.

15 **Conflict of Interest Statement**

16 DR. TESH: The Food and Drug Administration
17 is convening today's meeting of the Pediatric
18 Subcommittee of the Oncologic Drugs Advisory
19 Committee under the authority of the Federal
20 Advisory Committee Act of 1972. With the exception
21 of the industry representative, all members and
22 temporary voting members of the committee are

1 special government employees or regular federal
2 employees from other agencies and are subject to
3 federal conflict of interest laws and regulations.

4 The following information on the status of
5 this committee's compliance with the federal ethics
6 and conflict of interest laws covered by, but not
7 limited to, those found at 18 U.S.C. Section 208 is
8 being provided to participants in today's meeting
9 and to the public.

10 FDA has determined that members and
11 temporary voting members of this committee are in
12 compliance with the federal ethics and conflict of
13 interest laws.

14 Under 18 U.S.C., Section 208, Congress has
15 authorized FDA to grant waivers to special
16 government employees and regular federal employees
17 who have potential financial conflicts when it is
18 determined that the agency's need for a special
19 government employee's services outweighs his or her
20 potential financial conflict of interest or when
21 the interest of a regular federal employee is not
22 so substantial as to be deemed likely to affect the

1 integrity of the services which the government may
2 expect from the employee.

3 Related to the discussions of today's
4 meeting, members and temporary voting members of
5 this committee have been screened for potential
6 financial conflicts of interest of their own, as
7 well as those imputed to them, including those of
8 their spouses or minor children and, for the
9 purposes of 18 U.S.C. Section 208, their employers.

10 These interests may include investments,
11 consulting, expert witness testimony, contracts,
12 grants, CRADAs, teaching, speaking, writing,
13 patents and royalties, and primary employment.

14 This session's agenda involves information
15 to gauge investigator interest in exploring
16 potential pediatric development plans for five
17 chemical entities in various stages of development
18 for adult cancer indications.

19 The subcommittee will consider and discuss
20 issues concerning diseases to be studied, patient
21 populations to be included, and possible study
22 designs in the development of these products for

1 pediatric use. The discussion will also provide
2 information to the agency pertinent to the
3 formulation of written requests for pediatric
4 studies, if appropriate.

5 The product under consideration for this
6 session is venetoclax, presentation by AbbVie, Inc.
7 This is a particular matters meeting during which
8 specific matters related to AbbVie's product will
9 be discussed.

10 Based on the agenda for today's meeting and
11 all financial interest reported by the committee
12 members and temporary voting members, no conflict
13 of interest waivers have been issued in connection
14 with this session.

15 To ensure transparency, we encourage all
16 standing committee members and temporary voting
17 members to disclose any public statements that they
18 have made concerning the product at issue.

19 With respect to FDA's invited industry
20 representative, we would like to disclose that
21 Dr. P.K. Morrow is participating in this meeting as
22 a non-voting industry representative acting on

1 behalf of regulated industry. Dr. Morrow's role at
2 this meeting is to represent industry in general
3 and not any particular company. Dr. Morrow is
4 employed by Amgen.

5 We would like to remind members and
6 temporary voting members that if the discussions
7 involve any other products or firms not already on
8 the agenda for which an FDA participant has a
9 personal or imputed financial interest, the
10 participants need to exclude themselves from such
11 involvement and their exclusion will be noted for
12 the record.

13 FDA encourages all other participants to
14 advise the committee of any financial relationships
15 that they might have with the firm at issue. Thank
16 you.

17 DR. PAPP0: Thank you, Dr. Tesh. Both the
18 Food and Drug Administration and the public believe
19 in a transparent process for information-gathering
20 and decision-making. To ensure such transparency
21 at the advisory committee meeting, FDA believes
22 that it is important to understand the context of

1 an individual's presentation.

2 For this reason, the FDA encourages all
3 participants, including the sponsor's nonemployee
4 presenters, to advise the committee of any
5 financial relationships that they may have with the
6 firm at issue, such as consulting fees, travel
7 expenses, honoraria, and interests in the sponsor,
8 including equity interests and those based on the
9 outcome of the meeting.

10 Likewise, FDA encourages you, at the
11 beginning of your presentation, to advise the
12 committee if you do not have any such financial
13 relationships. If you choose not to address this
14 issue of financial relationships at the beginning
15 of your presentation, it will not preclude you from
16 speaking.

17 We will now proceed with the sponsor's
18 presentation.

19 **Industry Presentation - Su Young Kim**

20 DR. KIM: Thank you very much. Good
21 morning. My name is Su Young Kim. I'm a medical
22 director with the venetoclax program and a

1 pediatric oncologist by training.

2 We are here to present our proposal for the
3 development of venetoclax in pediatric patients
4 with select relapsed or refractory cancers.

5 Everyone who has treated these patients knows how
6 poor the prognosis is. I thank you for the
7 opportunity to discuss our proposal.

8 Here is the agenda for today's presentation.
9 I will begin with the regulatory history of
10 venetoclax, then describe the mechanism of action
11 and how we utilize that mechanism to identify
12 pediatric tumor types that have the highest
13 potential for response.

14 I will briefly review our clinical trial
15 experience in adults, detail our proposed pediatric
16 plan, and then move on to a discussion of the
17 challenges that we have identified.

18 AbbVie filed the investigational new drug
19 application to the FDA in 2010, followed by
20 treatment of the first patient in June 2011. We
21 received orphan drug designation in three
22 indications, and also three breakthrough therapy

1 designations.

2 Most recently, venetoclax gained accelerated
3 approval in April for patients with relapsed or
4 refractory CLL who have 17p chromosome deletion.

5 Venetoclax is a novel, orally bioavailable,
6 small molecule, B-cell lymphoma 2 inhibitor that
7 binds selectively with high affinity to BCL-2 and
8 with lower affinity to other anti-apoptotic family
9 proteins. Overexpression of anti-apoptotic
10 proteins is associated with tumor initiation,
11 disease progression, and increased resistance to
12 chemotherapy.

13 BCL-2 overexpression allows cancer cells to
14 evade apoptosis by sequestering pro-apoptotic
15 proteins. Venetoclax binds with high affinity to
16 BCL-2, freeing up pro-apoptotic proteins to
17 initiate apoptosis and activate caspases, finally
18 resulting in programmed cell death.

19 BCL-2 overexpression is detected in many
20 malignancies, and with additional preclinical
21 evidence, we can select indications that have a
22 high probability of responding to venetoclax.

1 Let me present the clinical trial experience
2 in adults. Our adult clinical program is global in
3 scope, with more than 20 studies in various
4 hematological malignancies.

5 We have observed monotherapy activity in all
6 of the indications listed, but for brevity, I will
7 only discuss findings in CLL, AML, and NHL. Of
8 note, all of the efficacy and safety data presented
9 are from single-arm trials.

10 The accelerated approval of venetoclax for
11 patients with 17p deletion CLL was based on a
12 phase 2 study that showed an overall response rate
13 of 80 percent and a complete response rate of
14 7.5 percent in this hard-to-treat population.

15 Single-agent activity was observed in
16 patients with AML, shown in the middle column, with
17 a response rate of 19 percent and a complete
18 remission rate of 6 percent.

19 These promising findings resulted in an
20 initiation of several combination studies, one of
21 which included low-dose cytarabine. This
22 combination led to an improvement in objective

1 response rate to 44 percent and an increase in CR
2 rate to 22 percent.

3 Here, you see the data demonstrating
4 activity of venetoclax in many subtypes of NHL,
5 both as monotherapy and in combination with
6 bendamustine and rituximab. We observed high
7 responses in many subtypes in NHL, but I would like
8 to focus on diffuse large B-cell lymphoma, which is
9 the most common subtype of NHL in adolescents.

10 Venetoclax monotherapy had a response rate
11 of 18 percent, with 10 percent achieving complete
12 remission. Both overall response and complete
13 remission rate increased when venetoclax was given
14 in combination with bendamustine and rituximab. In
15 all of our venetoclax adult studies, we have
16 observed a consistent and manageable safety profile
17 which should translate to the pediatric population
18 as well.

19 Looking at the overall exposure with
20 venetoclax, approximately 1500 patients received
21 venetoclax in oncology trials, the majority of whom
22 had CLL.

1 Patients received venetoclax as part of
2 combination therapy or monotherapy. Of the
3 560 patients treated with monotherapy, 50 received
4 treatment for over 2 years, and more than 200
5 patients received treatment for more than 1 year
6 across all indications.

7 Here is the overall safety profile in adult
8 patients. The most common adverse events across
9 the venetoclax monotherapy studies were mild GI
10 toxicities. The most common grade 3-4 adverse
11 events were cytopenias, which is not unexpected
12 since all of the patients had relapsed disease.

13 Additionally, I'd like to discuss the
14 identified risk of tumor lysis syndrome and
15 neutropenia. The most common adverse events were
16 mild nausea and diarrhea. This data includes
17 patients with the most recently approved CLL
18 indication, as well as patients with AML and NHL,
19 who are the most relevant to the pediatric
20 population.

21 The most common grade 3-4 adverse events
22 were cytopenias, much of which is consistent with

1 their underlying diseases. Importantly, most
2 events were managed with standard of care and did
3 not require coming off study.

4 The potent activity of venetoclax can lead
5 to a rapid reduction in tumor burden, so there is a
6 risk of developing tumor lysis syndrome. Clinical
7 tumor lysis was observed only in early dose-finding
8 studies in CLL patients with high tumor burden.

9 Since then, TLS has been mitigated by a more
10 gradual dosing ramp-up which allows for slower
11 tumor destruction. Standard prophylaxis measures
12 are also strongly recommended. Since December
13 2012, no cases of clinical TLS had been observed.

14 Neutropenia is a common grade 3-4 adverse
15 event in the monotherapy studies, but many times,
16 it's difficult to distinguish from the underlying
17 disease.

18 These events have been managed with standard
19 of care treatment, including the use of growth
20 factors and also by interrupting or lowering the
21 dose of venetoclax. The vast majority of these
22 events improved over time on study, and,

1 importantly, there was no trend toward increased
2 infection rate.

3 The following safety parameters have been
4 considered for the pediatric study. The safety
5 profile is well-characterized for adults, and we
6 believe it should be similar for children. No
7 additional safety concerns have been identified
8 among the 50-plus patients who continued to receive
9 venetoclax for over 2 years.

10 A relevant nonclinical finding in adult
11 animals is decreased spermatogenesis. However, the
12 risk to humans is unknown. In all venetoclax
13 studies, sperm banking is advised.

14 We are testing venetoclax in a nonclinical
15 juvenile toxicology study in order to better to
16 characterize the potential safety profile for the
17 pediatric population.

18 Now, let's turn our attention to our
19 proposed pediatric plan. We assessed the 25 most
20 common pediatric cancers for potential response to
21 venetoclax. The following three criteria were all
22 required for pediatric development: BCL-2

1 overexpression, response in cell lines, and also
2 response in murine xenograft models.

3 These four tumor types, AML, NHL, ALL, and
4 neuroblastoma fulfilled all of those criteria. In
5 addition, for AML and NHL, we have already seen
6 clinical responses in adults.

7 Here is just one example of a murine
8 preclinical study utilizing a neuroblastoma
9 patient-derived xenograft. Shown in gray are
10 control mice treated with vehicle alone, who all
11 succumbed to tumor progression.

12 In orange are mice treated with
13 cyclophosphamide and in blue are mice treated with
14 venetoclax, all of which have prolonged survival,
15 including 10 to 20 percent who have long-term
16 survival. Shown in green are mice treated with a
17 combination of venetoclax and cyclophosphamide,
18 showing that more than half of the mice remain free
19 of disease.

20 The venetoclax pediatric program was
21 developed to address the high unmet medical need
22 for patients with these select tumor types. For

1 ALL, NHL, and neuroblastoma, intensive multimodal
2 therapy has resulted in excellent overall survival
3 rates of over 75 percent for newly diagnosed
4 patients. For AML, overall survival remains around
5 60 percent. Unfortunately, in the
6 relapsed/refractory setting, prognosis remains
7 quite dismal for all of these indications, and thus
8 represents a significant unmet medical need.

9 I will now review our proposed pediatric
10 study design. We have had many discussions with
11 the leaders of both the Children's Oncology Group
12 in the United States and the Innovative Therapies
13 for Children with Cancer Consortium in the European
14 Union, who have all contributed to the study design
15 and specifics.

16 This is a phase 1, multicenter, global study
17 with 40 sites that will enroll approximately
18 150 patients age 1 to less than 18 years. The
19 primary objectives will focus on safety and
20 pharmacokinetics. Secondary objectives will assess
21 efficacy in the monotherapy setting and safety in
22 combination with chemotherapy.

1 Exploratory objectives will include minimal
2 residual disease status when applicable and
3 biomarker analysis to try to answer two questions.
4 First, can we identify patients who will respond to
5 venetoclax; and, second, if patients progress, can
6 we determine the mechanism of resistance in order
7 to inform future trials?

8 Our phase 1 single-arm study will be
9 conducted in two parts. During part 1, dose
10 escalation, we will use a standard 3-plus-3-plus-3
11 design. Patients will receive daily ramp-up dosing
12 of venetoclax up to 400 milligrams in dose level 1
13 and up to 800 milligrams in dose level 2 to
14 determine the recommended dose for part 2 of the
15 study. During part 2, cohort expansion, enrollment
16 into each of the four cohorts will be expanded to a
17 maximum of 25 patients per tumor type.

18 During the dose escalation part of the
19 study, patients will be separated by indication due
20 to differences in bone marrow involvement, and
21 thus, the use of different DLT criteria. Those
22 with AML or ALL will be in one group, and those

1 with NHL or neuroblastoma will be in another group.

2 Patients will also be stratified by weight,
3 thus resulting in four unique dose escalation
4 groups. Patients who weigh greater than or equal
5 to 20 kilograms, for both groups, will be enrolled
6 in dose level 1. Once that dose level is cleared,
7 the next set of patients will be enrolled in dose
8 level 2. Concurrently, patients who weigh less
9 than 20 kilograms can enroll in dose level 1.

10 The groups will enroll sequentially so that
11 PK and safety data from the higher-weight groups
12 can inform the dosing in the lower-weight groups.

13 For part 2 cohort expansion, we will utilize
14 the Gehan 2-stage design per tumor type to minimize
15 the number of patients enrolled in stage 1 if
16 patients do not have a response.

17 Eight patients will be enrolled initially
18 for each tumor cohort. If no patient has a
19 response, then enrollment into that specific cohort
20 will end due to the low probability that we will
21 reach the desired response rate.

22 On the other hand, the number of patients

1 who respond from the first stage will determine how
2 many additional patients can be enrolled in
3 stage 2, up to a maximum of 25 patients in each
4 cohort. We are targeting a response rate of
5 20 percent for each tumor cohort.

6 Two formulations of venetoclax will be
7 available for the pediatric study. The recently
8 approved 10, 50, and 100-milligram oral tablets
9 will be used for children who are able to swallow
10 tablets. Rapidly disintegrating tablets of 2.5,
11 10, and 25 milligrams will be available and can be
12 used to make an oral liquid suspension for children
13 who are not able to swallow tablets. The pediatric
14 doses will be based on modeling of adult PK data.

15 The dosing for pediatrics was discussed with
16 members of COG and ITCC, because this is a key
17 component to the safety of venetoclax in children.
18 Age has a significant impact on intestinal and
19 hepatic CYP3A maturation during the first two years
20 of life. Therefore, we are proposing age band
21 dosing in patients less than two years of age and
22 weight band dosing for those who are two years of

1 age and older.

2 Available formulations will allow adequate
3 dose escalation and dosing to the desired exposure
4 based on PK modeling. This dosing ramp-up scheme
5 is assigned to deliver the lowest toxicity, while
6 maintaining the responses observed in the adult
7 studies.

8 Here, you see the simulated exposures at
9 steady-state of venetoclax. This figure is
10 illustrative dose level 1, dose level 2 with double
11 these doses. The projected doses are listed on top
12 of the bars. The pediatric doses are projected to
13 match exposure equivalent to the adult CLL dose of
14 400 milligrams to ensure similar safety and
15 efficacy.

16 For select patients, venetoclax will also be
17 allowed in combination with chemotherapy. Each
18 patient must have an acceptable safety profile with
19 monotherapy and must also meet the efficacy
20 endpoint, after which patients can have the option
21 of receiving chemotherapy in combination with
22 venetoclax, based on the investigator's discretion

1 of what is in the best interest for the child.

2 The rationale for combination is that
3 treatment with a cytotoxic agent may push a tumor
4 cell that is prime to undergo apoptosis over the
5 edge. Combination therapy may also help some
6 patients maintain their clinical response and help
7 others who show progression after response.

8 For patients appropriate for combination
9 therapy, the following agents will be allowed per
10 indication. All of these agents have shown synergy
11 in preclinical studies, and they have also
12 demonstrated an acceptable safety profile in adult
13 venetoclax phase 1 and phase 2 combination trials.
14 Of note, all of these agents are a part of salvage
15 therapy regimens in these indications.

16 There are some challenges when developing a
17 pediatric trial in this space. First, to mitigate
18 the challenges around making a palatable liquid
19 formulation, we have conducted human taste studies.
20 Additionally, a follow-up study is ongoing to
21 evaluate dosing vehicles.

22 At high body weights and dose bands, the

1 total number of tablets could be a challenge, and
2 in those cases, a combination of tablets and liquid
3 dosing may be an option. The food effect on the
4 pediatric formulation is unknown, but will be
5 assessed in the upcoming bioavailability study.

6 Also, enrolling patients with NHL and
7 diffused large B-cell lymphoma will be a challenge
8 simply due to the low prevalence in the pediatric
9 population. In attempts to mitigate this
10 challenge, we will conduct outreach to encourage
11 screening.

12 Despite other ongoing trials in ALL, AML,
13 and neuroblastoma, we don't believe recruitment
14 will be a challenge for these populations based on
15 the differential inclusion criteria and lack of a
16 curative option.

17 In summary, venetoclax has promising
18 activity in adults, with an acceptable and
19 consistent safety profile across various
20 hematological malignancies. We have identified
21 four tumor types in children that have a high
22 probability of response based on available

1 preclinical and clinical evidence.

2 In the relapsed and refractory setting,
3 morbidity and mortality remains high in these
4 settings. In certain malignancies, such as AML,
5 effective treatment options are limited. In
6 others, such as ALL, other promising therapies
7 exist, but mechanistically, venetoclax works
8 differently than other therapies and may show
9 response in patients where other treatments have
10 failed.

11 Because we are focused on treating relapsed
12 and refractory patients, venetoclax will offer
13 another treatment options to children with select
14 cancer types.

15 The sponsors, AbbVie, Genentech, and Roche,
16 are committed to developing venetoclax in the
17 pediatric population. Thank you, and we look
18 forward to your questions and discussions.

19 **Clarifying Questions from Subcommittee**

20 DR. PAPP0: Thank you very much, Dr. Kim.
21 We will now take clarifying questions for the
22 sponsor. Please remember to state your name for

1 the record before you speak. If you can, please
2 direct your questions to a specific presenter.

3 Dr. Adamson?

4 DR. ADAMSON: Peter Adamson. Thank you for
5 that presentation. This is more of an advice than
6 a question, but I'll work a question into that.
7 Dose-finding in children with hematologic
8 malignancies is generally something we don't
9 pursue. There's a very high inevaluability rate
10 because of the rapid progression of the disease,
11 and, historically, we have found it really
12 uninformative to try to seek out a separate dose
13 when there's an opportunity to define the dose in
14 patients with solid tumors.

15 I think your strategy to identify a dose in
16 a relapsed leukemia population is probably not an
17 ideal strategy. I think you can successfully do
18 that in the neuroblastoma population and then
19 readily carry that dose directly into phase 2.

20 I suspect what you'll find is just a very
21 high inevaluability rate from the inability for the
22 majority of patients if you're projecting a

1 20 percent optimistic response rate. That means
2 likely 80 percent of your patients won't complete a
3 single cycle of disease, and therefore will be
4 inevaluable. So that would be an area of caution
5 as far as developing this, but, rather, it's a
6 straight -- get your dose in solid tumors and go
7 straight to your phase 2 two-stage component.

8 I do think it is going to be challenging
9 outside of the leukemias to move into the lymphomas
10 given the frontline cure rates. However, again,
11 one can pursue that, with the recommendation of
12 going straight into phase 2.

13 I would also just want to clarify the
14 decision to go with a banding dose, which is
15 reasonable -- but you have a lot of formulations,
16 which is great as far as dosing. And, generally,
17 we've managed that with per-kilo dosing and then
18 just having a table of what the dose actually is.

19 I think your dosing, if I understand, ranges
20 from probably 6 to 9 mgs per kg at the starting
21 dose if you look across the ages. I was wondering
22 why you just didn't decide and land on a

1 single -- if it's going to be 8 mg per kg and just
2 use your formulations to come as close to nominal
3 as possible.

4 DR. KIM: Thank you very much for that
5 comment, for the first comment, and we will take
6 that into advice.

7 Dr. Shebley can answer the second part of
8 the question for you.

9 DR. SHEBLEY: Mohamad Shebley, associate
10 director of clinical pharmacology.

11 We did consider the per-kilogram dosing and,
12 essentially, what we have are the band dosings to
13 consider the weight differences across these age
14 groups. You're right, it's about 6 to 7 milligrams
15 per kilogram is what it essentially will come down
16 to.

17 DR. PAPPO: Steve?

18 DR. DuBOIS: Steve DuBois, Dana-Faber.
19 Thank you, Su.

20 A couple of questions about the stage 2
21 design. Would a response in combination with
22 chemotherapy count as a success or you're only

1 looking for monotherapy success for the two-stage
2 design?

3 DR. KIM: It's only monotherapy that we're
4 counting efficacy.

5 DR. DuBOIS: Then for the combination with
6 chemotherapy, you're requiring several stable
7 disease evaluations before allowing patients to
8 move on to combination therapy. Based on I think
9 where you'd be going with this agent, and also
10 based on the preclinical data of higher response
11 rates with combination, the rationale for having a
12 patient with stable disease just continue on
13 monotherapy wasn't really clear to me.

14 DR. KIM: It wasn't clear because we're
15 still working out the specifics of that. The
16 advisors we've talked to have made it clear that
17 the patients who are responding, we really do have
18 to do something.

19 The best case scenario is patients who are
20 approaching CR and have a transplant option. That
21 is the only curative option at present. So we are
22 almost compelled to do whatever we can to give them

1 limited venetoclax until they get to their
2 transplant.

3 Same thing in patients who reach CR. Once
4 you get to CR and you don't have a transplant
5 option, then there's also the possibility that you
6 may relapse on monotherapy alone. And in those
7 cases, also, once you've reached CR and declared
8 your response, then we will allow the option of
9 receiving chemotherapy, again, if the investigator
10 thinks it's in the best interest of the child.

11 The patients with PR, also we will allow to
12 get chemotherapy, with the rationale that once you
13 achieve PR, the chemotherapy may just push you over
14 where you will reach that CR status and go to
15 transplant, if you have that option.

16 The other group of patients is PD patients,
17 and then apparently at any time after they clear
18 their PD, then you'll also have the option of going
19 to chemotherapy.

20 The part in the middle, the ST patients,
21 we're still trying to decide how long we wait
22 because they have stable disease. They're not

1 progressing rapidly is the good thing. But we do
2 believe at a certain point after two or three,
3 depending on the disease, stable diseases, you
4 should begin the opportunity again to deepen your
5 response.

6 DR. PAPPO: Dr. Weigel?

7 DR. WEIGEL: Brenda Weigel. I am wondering,
8 in regard to the heme malignancies, particularly
9 the leukemia, that as a single-agent, again,
10 unlikely to really be able to answer that question
11 due to the progressive nature of the disease,
12 meaning combinations potentially are going to be
13 very important.

14 Have you looked at any sequencing issues?
15 Because with BCL-2 inhibition, sequencing with
16 different agents, particularly cytotoxics or agents
17 with different mechanisms, might be very important
18 with regard to how you combine drugs and how you
19 look at optimizing the use of the agent in
20 combination.

21 DR. KIM: We are starting some of that in
22 our adult trials also, where we do realize that

1 timing is important depending on the circumstance.

2 We are trying to explore this in our
3 preclinical setting where we have murine models
4 that we know are effective. In that case, we would
5 like to answer actually several questions. What
6 chemotherapy is best? Are there other novel agents
7 that work better in combination with venetoclax;
8 and if so, then is there a sequencing that you have
9 to follow to make that more successful? We hope to
10 have those answers soon.

11 DR. PAPPO: Dr. Warren?

12 DR. WARREN: Hi, Su Young. This follows a
13 little bit on Peter's comments earlier.

14 First of all, I applaud you for trying to
15 look at different subgroups in the pediatric
16 population as far as age and metabolism goes. As
17 you know, there's no standard cutoff or no standard
18 way to do this, whether we use age 21 in pediatrics
19 or 18. We sometimes look below age 12 and over age
20 12.

21 Is there any pharmacokinetic data from the
22 adults that would make us think that we need to

1 look at adolescents versus younger children in
2 addition to the 20-kilo weight loss?

3 A second question is, do we know the CNS
4 penetration of this agent for children who may have
5 CNS leukemia?

6 DR. KIM: I'll let Dr. Shebley answer the
7 first question. As he's coming up, the second
8 question, CNS penetration is very low. We were
9 hoping that it would be effective for brain tumors,
10 but if we are to pursue this in brain tumors, then
11 we'd have to do a lot more modeling and a lot
12 different formulation to try to make it more
13 effective.

14 DR. SHEBLEY: Mohamad Shebley. To answer
15 that the first part of the question, we don't have
16 adult data to show the age effect, for example.
17 However, due to the metabolism of venetoclax, which
18 it is established to be via CYP3A4, and the
19 well-established literature suggesting the ontogeny
20 effect on the maturation, we considered the
21 age-based dosing in the younger groups, up to 2
22 years old. And beyond that, it's just an element

1 or scaling function based on body weight, the
2 projected is.

3 DR. PAPP0: Dr. MacDonald?

4 DR. MacDONALD: Tobey MacDonald. Given the
5 molecular diversity of these diseases, do you have
6 any data about whether the expression of BCL-2 is
7 associated with any particular molecular phenotype
8 and/or response to these agents associated in
9 either the preclinical or clinical, in the adult or
10 pediatric preclinical models to define your target
11 population?

12 DR. KIM: Most of our data comes from the
13 ALL realm where there are distinct subtypes. We do
14 see very potent response, at least in animal
15 models, for subgroups of ALL that do really poorly.
16 So ETP-ALL, 17-19 translocations, the MML, ALL. We
17 do have differential responses.

18 It doesn't mean that if one is poor, we just
19 see higher levels of BCL-2 expression and more
20 profound responses when we do the murine models.
21 But we don't think that that's a reason to actually
22 restrict the rest of the ALL group. So we are

1 doing a broad study to allow all patients, no
2 matter the subtype of ALL, to enroll. And then
3 with our biomarker analysis, we hope to tease out
4 the patients that we can predict will response to
5 venetoclax in future trials.

6 DR. PAPPO: It's my turn to ask the
7 question. Alberto Pappo.

8 (Laughter.)

9 DR. PAPPO: I have a couple of questions.
10 What is the difference in the mechanism of action
11 of this agent with other BCL-2 inhibitors that have
12 been tried in the past?

13 DR. KIM: This is the first specific BCL.
14 It's the first of novel specific BCL-2 inhibitors.
15 We have developed a compound called ABT263, which
16 is a more broad spectrum. In addition to BCL-2,
17 you also have BCL-XL inhibition.

18 What we found in those cases is that you do
19 have thrombocytopenia in the clinic. So for those,
20 we've limited the indications to more of the
21 BCL-2-specific indication.

22 DR. PAPPO: The other question I had is on

1 the patients that develop pneumonia, was this a
2 pneumonitis, or was this an infectious
3 complication, or was this during neutropenia, or is
4 there more information about that?

5 DR. KIM: No, it was not a pneumonitis. It
6 is in the elderly population. Most of our patients
7 have CLL. They are immunocompromised, to a certain
8 degree, to start with, and so we have seen
9 pneumonia in that setting.

10 Sometimes the adverse event of pneumonia can
11 be anywhere from a real pneumonia to some upper
12 respiratory tract infection. But we have not seen
13 any pneumonitis in the setting.

14 DR. PAPPO: A couple of very quick
15 questions, and then there's a whole list, I
16 promise.

17 The other thing is on the design of the
18 phase 1, why did you split it by weight so patients
19 less than 20 kilograms will get their first dose
20 level or you've identified -- after you start
21 dosing patients with more than 20 for dose level 2,
22 if the major determinant of the PK of this agent is

1 age and not weight?

2 DR. KIM: I'd like to have Dr. Shebley
3 answer that question.

4 DR. SHEBLEY: Mohamad Shebley. Basically,
5 we did consider the different age groups originally
6 where we had zero to 2, 2 to 6, 6 to 12, and 12 and
7 beyond, as an age banding. However, we later on
8 realized that will take a long time for enrolling
9 all of these age groups.

10 Since we have body weight as an effect on
11 clearance for venetoclax, we decided to use the
12 weight banding. The 20 kilogram really is a
13 reflection of approximately 5- to 6-year-olds,
14 where we think those patients from 6-year-old and
15 above will be able to swallow the adult tablets,
16 that we have relatively better confidence in
17 projecting the clearance and the PK in those
18 patients. This way, we will use those data first
19 to inform the lower weight groups and the lower
20 ages.

21 DR. PAPP0: Thank you. The final question I
22 have is a follow-up to Dr. DuBois' question.

1 Patients that have had this single-agent, they have
2 to achieve a CR or a PR in order for them to be
3 eligible to continue with the combination
4 chemotherapy, or is it only after they progress
5 that you're allowed to add chemotherapy to the
6 agent?

7 DR. KIM: After they are declared CR, PR, or
8 PD.

9 DR. PAPPO: Either of the three.

10 DR. KIM: Either of the three.

11 DR. PAPPO: Okay. Thank you. Dr. Seibel?

12 DR. SEIBEL: I believe in your briefing
13 document, you mentioned something about looking at
14 some of the rarer tumors, such as clear cell
15 sarcoma and Wilms. Do you have data about
16 activity?

17 DR. KIM: We do not. What we've seen is a
18 high level BCL-2 expression, but more importantly
19 to us, we see both high level BCL-2 expression and
20 low levels of BCL-XL expression and the greater
21 that ratio is, we think that you'll have a higher
22 chance of responding to venetoclax.

1 These are rare tumor types. We have
2 identified investigators in academia who have these
3 cell line and murine models, and we're just waiting
4 for the CDA to clear it before we can have them
5 test venetoclax in the cell line and murine models.

6 DR. PAPPO: Dr. Morrow?

7 DR. MORROW: You talk about the response
8 rates with combination therapy with venetoclax.
9 The question for you is given the adverse event
10 profile, what was the dose intensity with the
11 combination with other chemotherapies when
12 providing venetoclax?

13 DR. KIM: Dose intensity, it depended on the
14 trial and what the combination chemo was. The
15 venetoclax dose we try to keep standard as much as
16 possible to that specific indication.

17 Sometimes the first thing we would change is
18 either decreasing the venetoclax dose or decreasing
19 the chemotherapy. Did you want specific numbers?
20 So low-dose cytarabine was at 20 milligrams per
21 kilogram.

22 DR. PAPPO: Dr. Armstrong?

1 DR. ARMSTRONG: Your approval in CLL was for
2 patients was 17p deletions. I'm wondering how
3 common either 17p deletions or p53 aberrations are
4 in the diseases that you're targeting and whether
5 or not that might impact the efficacy of this.

6 DR. KIM: That may. There are ALL,
7 AML -- all of these disease types, there are a
8 certain percentage that have p53 mutation or
9 deletion. We don't know how that's going to impact
10 yet, but I think that's what we like to focus our
11 biomarker plan on to see what percentage of
12 patients have these distinct changes, not only p53,
13 but all the other genetic changes in ALL and AML do
14 a systematic approach and then at the end, try to
15 correlate with response.

16 DR. PAPP0: Dr. Reaman?

17 DR. REAMAN: Thanks. I have a couple of
18 questions. Could you elaborate a little bit on the
19 biomarker studies that you plan? In the adult
20 series, have there been any correlations between
21 BCL-2 expression and response?

22 DR. KIM: The first part of that question,

1 we are planning extensive biomarker evaluation,
2 knowing that the sample size is going to be low.
3 So we are going to try to prioritize what we think
4 are the biggest-bang-for-the-buck studies at the
5 top and moving all the way to the bottom, depending
6 how much sample we can collect.

7 We will collect bone marrow, blood, and
8 tumor tissue, if we can, if there's a clinical
9 indication to obtain sample at that point.

10 What we'd like to do is start with a
11 molecular diagnostic subtype testing, cytogenetics,
12 FISH, mutational profile, move on to BCL-2 family
13 expression profiling either by immunohistochemistry
14 or by flow cytometry, and then do some tumor
15 genomics using RNA sequencing.

16 Then minimal residual disease, we're still
17 trying to determine if it's best to have the
18 individual sites do it, because it's so much of a
19 component of the standard of care for the child
20 now, or if we should do it centrally or have a
21 central vendor test that.

22 The other example is if we have enough

1 sample available, it would be mitochondrial priming
2 or BH3 profiling and then single-cell protein
3 profiling.

4 DR. REAMAN: The combination studies, in
5 addition to achieving complete response, we're
6 frequently interested in the durability of
7 responses. By adding a combination agent after
8 achieving complete response, how do you propose to
9 assess durability when adding a second agent?

10 DR. KIM: It's a trade-off. We originally
11 had those endpoints built in, DOR, PFS, and OS, and
12 then what we heard from the investigators was that
13 this was not in the best interest of the child and
14 we should add chemotherapy at the first opportunity
15 we can.

16 It will, obviously, change how we analyze
17 DOR, because DOR for monotherapy, at that point,
18 may be 21 days. But that really doesn't reflect
19 how well the drug is doing for the patient.

20 I think we're going to stick with our
21 primary objectives, and we'll either have to
22 censor patients or just do it as analysis

1 separately for patients who are on chemotherapy, do
2 a separate analysis.

3 DR. REAMAN: I wasn't 100 percent sure, but
4 you have a fixed combination that patients are
5 permitted to receive or is it investigator choice?

6 DR. KIM: It's not investigator choice. We
7 can probably get that slide back up. We have
8 identified several agents that we think are going
9 to be effective based on preclinical evidence and
10 also what we've seen in adult realm.

11 We're still working with investigators at
12 COG and ITCC to determine if this is the best agent
13 that we should add on to venetoclax, both
14 clinically and scientifically.

15 The rationale for what we did so far is that
16 we do have safety data for low-dose cytarabine for
17 adult patients with AML. We know the safety
18 profile and the dosing that's going to be tolerable
19 in that setting.

20 Same thing with NHL, we've had combination
21 studies with rituximab plus venetoclax. The ALL,
22 we think dexamethasone and vincristine and

1 neuroblastoma cyclophosphamide will minimize the
2 side effects that they may experience compared to
3 using a standard salvage regimen, venetoclax in
4 combination with a standard salvage regimen.

5 DR. REAMAN: I would just encourage you to
6 keep it as standardized as possible and to really
7 think about the durability of response issue.

8 Then the last question, other than the
9 inhibition of spermatogenesis, were there other
10 toxicities that you saw in your adult experience
11 that makes you think that juvenile animal studies
12 are needed? Because you really have a large number
13 of adults who have had significant exposure to this
14 agent.

15 DR. KIM: I'd like to have Dr. Rhodes, who
16 ran these studies, answer that question.

17 DR. RHODES: Bill Rhodes, nonclinical
18 toxicology, AbbVie.

19 We have conducted nonclinical toxicology
20 studies in dogs, rats, and mice. We observed
21 testicular germ cell decreases. This was limited
22 to dogs. But we have also observed decreases in

1 lymphocytes and decreases in hemoglobin, which have
2 been reported in adults as well.

3 We had a couple of other non-adverse
4 findings, one of which was increased amount of
5 white hair, due to loss of pigmentation in the
6 hair, which we think is a mechanistic effect.

7 We also had minimal to mild single cell
8 necrosis in various epithelial tissues.

9 DR. PAPP0: Thank you. Dr. Adamson?

10 DR. ADAMSON: Two questions. As you know,
11 in pediatric oncology, tumor lysis is a desired
12 endpoint, and we would never manage it with
13 ramp-up; we would just prevent it.

14 I see you are mirroring the adult experience
15 with ramping it up. Are you planning to do that in
16 patients with neuroblastoma, also?

17 DR. KIM: We don't know what we're going to
18 see in neuroblastoma. Preclinically,
19 neuroblastoma -- aside from CLL, where the BCL-2
20 expression level is just super high, the next tumor
21 type that we see on the scale is neuroblastoma.

22 We are being conservative, assuming that

1 patients with neuroblastoma may have tumor lysis,
2 also. So we will ask those patients to be
3 hospitalized, also, with a dosing ramp-up daily.

4 DR. ADAMSON: As you know, that's an
5 interesting assumption in neuroblastoma.

6 With that said, the downside of doing this
7 is you're going to have the first cycle of patients
8 who only receive full dose for 9 days. That is not
9 going to give you a good estimate of tolerance to
10 that dose, and you're going to lose an increasing
11 number of patients to progressive disease. I would
12 advise against that approach in dose finding,
13 especially in solid tumors, which would become a
14 New England Journal paper, if you see it, rather
15 than a standard appropriate.

16 My other question is, do you have an agreed
17 upon PIP?

18 DR. KIM: We are submitting that probably in
19 the next month or two.

20 DR. ADAMSON: Will these discussions have a
21 substantive influence on what gets submitted with
22 your PIP?

1 DR. KIM: Definitely, yes.

2 DR. ADAMSON: Okay.

3 DR. PAPPO: Dr. Glade Bender?

4 DR. GLADE BENDER: Dr. Adamson just covered
5 one of my issues, which was the ramp-up and the DLT
6 window being only 21 days.

7 I wonder, have you treated any solid tumors
8 in adults, and, if so, what was the median time to
9 any sign of response as related to adding in the
10 cytotoxic agent?

11 DR. KIM: It would actually depend on your
12 definition of what solid tumors are. If you
13 include the NHL as more of a solid tumor than a
14 liquid tumor, then we have seen responses in NHL
15 patients.

16 It really depends on the subtype of NHL
17 also. For diffuse large B-cell lymphoma, we've
18 seen response as soon as the first staging, the
19 first protocol-defined staging evaluation, which
20 was either 8 weeks or 12 weeks, depending on the
21 protocol.

22 In our combination studies, after the

1 initial ramp-up, if there wasn't a ramp-up for that
2 disorder, then we'd start combination chemotherapy
3 right away. We don't have much data in terms of
4 how fast the combo acts compared to the
5 monotherapy.

6 DR. GLADE BENDER: I imagine that is the
7 kind of response you're going to get in
8 neuroblastoma.

9 I also wanted to echo the idea of doing a
10 more generalized phase 1 dose escalation in solid
11 tumors. I think that would also give people the
12 opportunity to put relapsed Wilms tumor and clear
13 cell sarcoma of kidney patients on study, because
14 those are terrible diseases, if they recur.
15 Granted, they are rare.

16 In the past, we have allowed for rare
17 diseases to have a strata even if -- but it won't
18 hold up the study if it doesn't fully enroll,
19 because I think that this would be of very
20 interesting agent for those tumors. I wouldn't
21 hold it up for preclinical data either. I'd go
22 right to the clinical experiment.

1 DR. KIM: Thank you.

2 DR. PAPP0: Dr. Brown?

3 DR. BROWN: Thanks. Pat Brown. Just one
4 clarifying question. In figure 2 of the briefing
5 document, it appears that a patient just below or
6 just above the 20-kilogram mark would have a
7 100 percent difference in their dose, at least to
8 start with, and up to 60 percent even at the final
9 dose; is that right?

10 DR. KIM: I think this is the wrong figure.
11 You can go one --

12 DR. BROWN: I was looking at the FDA
13 briefing document. It's the table of dosing in
14 dose level 1 and dose level 2.

15 DR. KIM: I'll have Dr. Shebley answer that
16 question while we're waiting.

17 DR. SHEBLEY: I believe we need the dosing
18 table.

19 DR. BROWN: If you're 19.9 kilograms, you
20 get 5 milligrams. If you're 20.1 kilograms, you
21 get 10 milligrams. That's a 100 percent difference
22 to start with.

1 DR. SHEBLEY: Sorry. Mohamad Shebley. Yes.
2 We based these cuts basically on taking the average
3 of weights in each band.

4 DR. BROWN: Right.

5 DR. SHEBLEY: Given that we know there is a
6 high variability in the PK of venetoclax, as shown
7 on this slide, the exposure would overlap whether
8 you are at that cusp of the band.

9 DR. BROWN: The follow-up is, are there
10 precedents for pediatric dose-finding studies with
11 that sort of variability in dosing over such a
12 small weight difference?

13 DR. KIM: I'm going to ask Dr. Shebley to
14 come back up.

15 (Laughter.)

16 DR. SHEBLEY: Mohamad Shebley. I'm not sure
17 I have an example to mention. Again, when we
18 collect the data in phase 1, we'll be able to
19 determine if that weight and age has the impact on
20 the PK to inform the dose.

21 DR. BROWN: The sample size will be limited
22 in those kind of subgroups, but it's good to try

1 that.

2 The second question I have is -- well, more
3 of a statement. In leukemia, it has been extremely
4 difficult to accrue to single-agent studies with
5 anticipated single-agent response rates in this
6 range. They basically have not been able to accrue.

7 To echo the sentiments that Dr. Adamson
8 initially brought up, I think attempting to do a
9 leukemia or even a lymphoma study with a single
10 agent with an anticipated response rate in the
11 20 percent range is very likely to demonstrate poor
12 accrual to the point where it won't be possible.

13 DR. PAPP0: Thank you. Dr. DuBois?

14 DR. DuBOIS: Steve DuBois, Dana-Farber.
15 Just to follow up on the combo question in heme
16 malignancies, you're including patients with NHL,
17 which can be T-cell or B-cell, but the combination
18 is with rituximab, which shouldn't really be
19 relevant with a T-cell lymphoma. Then for the ALL
20 and AML, given the lack of CNS penetration, I think
21 consideration for allowing intrathecal chemotherapy
22 would be an important consideration.

1 DR. KIM: Depending on the subtype, we may
2 actually refine that list for combination chemo to
3 give what makes sense in the setting. We will
4 allow intrathecal therapy for patients with AML and
5 ALL.

6 DR. PAPPO: Thank you. Are there any other
7 questions? Dr. Adamson?

8 DR. ADAMSON: Just a comment. These
9 comments, I think, reflect that everyone around
10 this table wants this trial to be a success, so
11 they should be taken in that light.

12 We're very pleased that you're here and
13 committed to pediatric development. We just want
14 to do our best to assure the success of this early
15 study.

16 DR. KIM: Thank you.

17 DR. PAPPO: Any other questions?

18 (No response.)

19 DR. PAPPO: Thank you very much, Dr. Kim.

20 DR. KIM: Thank you.

21 **Questions to the Subcommittee and Discussion**

22 DR. PAPPO: There are no OPH speakers. We

1 will now proceed with the questions to the
2 committee and panel discussions.

3 I would like to remind public observers that
4 while this meeting is open for public observation,
5 public attendees may not participate except at the
6 specific request of the panel.

7 We will start with the first question.

8 DR. EHRLICH: Please address the biologic
9 significance of BCL-2 inhibition as a treatment
10 strategy in malignancies in children.

11 DR. PAPPO: If there are no questions or
12 comments concerning the wording of the question, we
13 will now open the question for discussion. Steve?

14 DR. DuBOIS: I can just speak to
15 neuroblastoma, where the community is quite
16 interested in this agent. I'll point out a recent
17 high impact publication evaluating BCL-2 inhibition
18 in combination with aurora kinase inhibition,
19 showing very nice preclinical activity. In
20 neuroblastoma, there's certainly enthusiasm.

21 DR. PAPPO: Dr. Weigel?

22 DR. WEIGEL: I would say, in general, BCL-2

1 inhibition has been of interest in pediatric
2 oncology for a while. I think the real challenge
3 is how do we optimize inhibition of the target. I
4 think this is a drug certainly hitting that
5 pathway, and I think optimizing it with
6 combinations and the strategies and the discussion
7 we've just had is going to be very, very important.
8 But I think it is applicable to many pediatric
9 cancers. I think it's an important marker.

10 DR. PAPP0: Anybody else?

11 (No response.)

12 DR. PAPP0: To summarize this first
13 question, there appears to be enthusiasm from the
14 panel in proceeding with the study of this drug.
15 There's specific enthusiasm in neuroblastoma from
16 preclinical data.

17 The trick is going to be to optimize how you
18 basically design the study to optimize the
19 likelihood of this drug being moved into clinical
20 trials and to identify the relevant subtypes that
21 this drug should be implemented in.

22 Just to add a little bit more, as to the

1 previous discussions, also to try to take into
2 consideration the design of the study based on the
3 remarks that were made by Dr. Bender and
4 Dr. Adamson, specifically in leukemia.

5 Any other things that I missed or anything
6 else?

7 (No response.)

8 DR. PAPP0: Good summary? Yes.

9 (Laughter.)

10 DR. PAPP0: We will now proceed to the
11 second question.

12 DR. EHRLICH: Please address any short term
13 and potential long-term or late toxicities that may
14 be associated with the use of this drug in
15 children.

16 DR. PAPP0: If there are no questions or
17 comments concerning the wording or the question, we
18 will now open the question for discussion.

19 Dr. Adamson?

20 DR. ADAMSON: I would just circle back. I
21 think given the nature of relapsed and refractory
22 childhood cancers, maximizing the likelihood of at

1 least defining the short-term toxicities by doing
2 the evaluation, as was suggested, potentially in a
3 broader -- during the phase 1 evaluation, in a
4 broader solid tumor population, I think, is
5 probably going to give you the best information on
6 the short-term tolerability of the doses, ideally
7 with avoiding the ramp-up phase.

8 I think, as others have said, for leukemia,
9 it gets more complex, because the need to move to
10 combination therapy is much greater in order to get
11 children through periods of evaluability.

12 Obviously, we would be eager to learn longer-term
13 toxicities, which will be based on the efficacy of
14 this. But shorter-term, there's great potential to
15 answer it in this study.

16 DR. PAPPO: Thank you. Any additional
17 questions?

18 (No response.)

19 DR. PAPPO: To summarize, I think that it is
20 important to better define the short-term
21 toxicities of this therapy, and this could be
22 optimized by including a broader population of

1 patients with solid tumors. That would give us a
2 better idea of the short-term toxicities of this
3 agent.

4 Of course, if we have the opportunity to
5 evaluate long-term toxicities in patients that have
6 good response, that would be great. Also, using
7 the ramp-up phase dosing of the study would likely
8 not allow us to get the full spectrum of toxicities
9 on these patients, at least during the first cycle
10 of therapy.

11 Any additional comments, or suggestions, or
12 questions?

13 (No response.)

14 DR. PAPPO: We will now move to the third
15 question.

16 DR. EHRLICH: Please address whether
17 sufficient relapsed or refractory patients would be
18 available for evaluation of this drug given the
19 numerous salvage therapy trials in progress.

20 DR. PAPPO: If there are no questions or
21 comments concerning the wording or the question, we
22 will now open this question for discussion. Yes?

1 MS. McMILLAN: I just want to make sure I
2 understand completely that this new agent will be
3 offered in addition to, but not instead of existing
4 salvage therapies.

5 DR. PAPPO: Anybody want to tackle that?

6 MS. McMILLAN: That's from the patient
7 perspective.

8 DR. ADAMSON: I think, in general, in this
9 population, it's children who have run out of
10 potential therapeutic options that would be
11 eligible. Established salvage therapies,
12 generally, will have been attempted before moving
13 to this study.

14 That could change in a combination study
15 with leukemia where it might be a very standard
16 regimen with the addition of this. The answer is
17 when there is an existing salvage therapy, it would
18 likely be added. When there's no existing salvage
19 therapy, it would likely be single-agent, and both
20 would be acceptable.

21 MS. McMILLAN: Thank you.

22 DR. PAPPO: Any additional questions

1 regarding the number of patients available for
2 evaluation for this drug?

3 Dr. Weigel?

4 DR. WEIGEL: I think this harkens back to
5 comments made previously that the patient
6 population, certainly in solid tumors, exists to
7 really study this agent.

8 I think as a single-agent, there may be
9 challenges for accrual as a single-agent, not
10 because of limited necessarily in the leukemia
11 population numbers, but of enthusiasm for a
12 single-agent study. Numbers may be limiting in the
13 lymphoma population just because of upfront cure
14 rates at this point in time.

15 DR. PAPP0: Dr. Glade Bender?

16 DR. GLADE BENDER: I was going to say it's
17 rarely a problem to find relapsed and refractory
18 solid tumors. We have done trials in the past of a
19 single-agent window followed by a combination to
20 follow, which allows DLT collection of both
21 single-agent and the combination. I think that
22 might be a far more popular trial for solid tumors.

1 DR. PAPPO: Thank you. Dr. Neville?

2 DR. NEVILLE: Just to echo that, and also to
3 say I think you should reconsider your combination
4 choices, as discussed, because a lot of these
5 agents the patients would've seen already. So
6 you're competing against other new agents. I would
7 say both a single-agent window with maybe not just
8 another drug added, but a better combination,
9 you'll get a higher accrual, in my opinion.

10 DR. PAPPO: Dr. Reaman?

11 DR. REAMAN: I think comment could be
12 extended to the leukemias as well, and not just
13 solid tumors. I would also suggest that this could
14 be done internationally. If you're working with
15 the EMA on a PIP, I think this is one of those
16 situations where we're going to have to look at
17 collaborating internationally and that's something
18 that should be considered as well.

19 DR. PAPPO: Dr. Raetz?

20 DR. RAETZ: I agree with the statements that
21 have been made about the concerns for a
22 single-agent and the feasibility for leukemia. I

1 think there is some enthusiasm, though, for the
2 rare subtypes, like the 17-19. So I just want to
3 emphasize that that may be a particular population
4 where there is as great enthusiasm.

5 DR. PAPPO: Any other additional comments,
6 or questions, or suggestions?

7 (No response.)

8 DR. PAPPO: To summarize this, I believe
9 that it should be considered that a study should
10 include perhaps a window therapy, followed by
11 combination therapy, to specifically target solid
12 tumors; that it will be relatively difficult to do
13 this in acute lymphoblastic leukemia and
14 non-Hodgkin's lymphoma; to reconsider the
15 combination choices that have been offered by
16 patients both with leukemia and with solid tumors;
17 consider an international collaborative study to
18 increase the number of patients; and -- I don't
19 know.

20 Is there anything else I missed or any other
21 concerns or suggestions?

22 DR. BROWN: In the list of things that it

1 would be tough, I think you said ALL and NHL. I
2 think AML would be included as well.

3 DR. PAPPO: AML. Thank you. If there are
4 no additional suggestions, we will go to the fourth
5 question.

6 DR. EHRLICH: Please discuss the design of
7 the proposed phase 1 trial in children, including
8 disease types and minimum tumor activity required
9 for cohort expansion.

10 DR. PAPPO: If there are no questions or
11 comments concerning the wording or the question, we
12 will now open the question for discussion.

13 DR. WARREN: I think there should be a
14 potentially separate phase 1 study for leukemia
15 with a separate definition of dose-limiting
16 toxicity, because I can't see how that would apply
17 also to the solid tumor cohort.

18 DR. PAPPO: Thank you. Dr. Bender?

19 DR. GLADE BENDER: To build on Kathy's idea,
20 I also think that to separate by diseases based on
21 what you think is bone marrow infiltration may be
22 the wrong approach. Advanced neuroblastoma can

1 have extensive bone marrow replacement, and so your
2 hematologic toxicity interpretation would be just
3 as difficult in that patient population as in the
4 leukemias.

5 DR. PAPPO: Dr. Adamson?

6 DR. ADAMSON: I think we've discussed it
7 probably earlier, but I would echo the
8 recommendation from Julie in that in the solid
9 tumor population, a design that we've used
10 repetitively for these types of agents is cycle 1,
11 single-agent; cycle 2, defined combination; cycle 1
12 getting the DLT PK tolerability; cycle 2 rolling
13 right into combination. That's a design that's
14 worked well and I think would work well in solid
15 tumor.

16 In the hematologic malignancies, I think
17 building on what Pat said, I would avoid
18 dose-finding studies of single-agent because I
19 think those are just going to be extremely
20 difficult to do, but rather moving in with a
21 reasonable dose potentially determined in the
22 phase 1 and a design that might, out of the gate,

1 look at a combination in a relapsed setting. I
2 think we can build on those two general principles,
3 but not to try to accomplish in a single cohort,
4 where ALL is going to potentially influence solid
5 tumors and vice versa.

6 DR. PAPPO: Dr. Brown?

7 DR. BROWN: In terms of study design, I just
8 would want to maybe mention that reconsideration of
9 the dose determination schema in a banded fashion,
10 there ought to be a little bit more consideration
11 of other ways to do that just because of the just
12 huge steps up and down in dosing over a very narrow
13 weight ranges.

14 DR. PAPPO: Thank you. Dr. Weigel?

15 DR. WEIGEL: Also, in the section for study
16 design and the points that have been brought up is
17 to avoid ramp-up dosing that would limit the
18 interpretation of toxicity in optimizing dose and
19 go to a more straightforward dose-finding study.

20 DR. PAPPO: Dr. Neville?

21 DR. NEVILLE: Just to clarify, you mean with
22 all diseases, right? Including --

1 DR. WEIGEL: With all diseases, solid tumor
2 and leukemia.

3 DR. NEVILLE: Even leukemia?

4 DR. WEIGEL: Correct.

5 DR. NEVILLE: We have ways to prevent tumor
6 lysis, so the ramp-up -- I agree with Brenda.

7 DR. PAPPO: Any additional comments?

8 (No response.)

9 DR. PAPPO: To summarize, we recommend that
10 there would be a separate phase 1 study for
11 leukemia and for solid tumors; also, to consider
12 the design that has been done in the past for solid
13 tumors, that is, to give single-agent during
14 cycle 1 and then after the dose has been
15 determined, to combine this with a series of agents
16 or several agents during cycle 2; also, try to
17 avoid a dose-finding study in hematologic
18 malignancies; and, try to go straight to a trial
19 that uses a combination in hematologic malignancies
20 based on the dose that was determined on the
21 phase 1 study in solid tumors; also, to reconsider
22 the dose determination based on banding; and, to

1 avoid the ramp-up phase for all of the types of
2 tumors, not only solid tumors, but leukemias, to
3 facilitate interpretation of toxicity of the
4 phase 1 study.

5 Any other issues or anything I missed? Yes,
6 Amy?

7 DR. BARONE: I'd ask if anyone has any
8 comments on the second part of that question. We
9 talked mostly about the dose escalation. But on
10 the cohort expansion, I think they mentioned
11 20 percent was the criteria for most tumor types,
12 if everyone agrees with that as a reasonable
13 percentage or activity estimation in this disease.

14 DR. PAPPO: Any comments on that?

15 DR. ADAMSON: A two-stage design, I think,
16 is the right approach. Generally, we don't put the
17 bar too high for the first stage, but the math
18 works out.

19 Generally, our first stages are somewhere
20 between 10 and 14 patients. And so I would work to
21 see and make sure the bar isn't too high first
22 stage, but second stage seems quite reasonable.

1 DR. PAPPO: Thank you. Yes, Dr. Warren?

2 DR. WARREN: I think part of this depends on
3 the definition of response, which I'm not sure that
4 we've reviewed at all, and if whether or not it
5 includes minimal, a minor response.

6 DR. PAPPO: Any additional comments? Yes?

7 DR. WEIGEL: I think it's also going to
8 depend on the disease that is being evaluated. So
9 I think it may be different for the hematologic
10 malignancies and first -- depending on the
11 combinations and the way the study is designed.

12 I agree, for the solid tumors, I think this
13 is a very reasonable bar.

14 DR. PAPPO: This is a reasonable design, and
15 just to better clarify the definition of response
16 for the different diseases. Any additional
17 observations or comments?

18 (No response.)

19 DR. PAPPO: We will now move to the fifth
20 question.

21 DR. EHRLICH: Please address the plans for
22 administering venetoclax in combination with other

1 chemotherapy regimens.

2 DR. PAPP0: If there are no questions or
3 comments concerning the wording or the question, we
4 will now open this question to discussion.

5 Dr. Glade Bender?

6 DR. GLADE BENDER: When we move a phase 1
7 agent to phase 2, we often want to do it in the
8 context of a randomized selection design, at which
9 point we're placing that new agent on a backbone
10 that we normally use.

11 I would suggest that one even consider that
12 those standard backbones be the second stage of
13 this, because the first question when you go to
14 phase 2 is what is the toxicity of the new agent on
15 top of the standard backbone.

16 We don't have that data, so we often have to
17 pause after the first run-in. I wouldn't assume
18 that people won't enroll because they may have
19 already seen the agent. If this agent is novel and
20 helps chemotherapy to work better by making the
21 cells pro-apoptotic, then people may revisit the
22 regimens that they've already seen.

1 DR. PAPPO: Be sure that this backbone will
2 eventually be able to be used in a phase 2 and a
3 phase 3 study.

4 DR. GLADE BENDER: Exactly. Then you'll
5 also have the safety data, and it will ease the
6 phase 2 study.

7 DR. PAPPO: Dr. DuBois?

8 DR. DuBOIS: I couldn't agree more with
9 Julie. I mean I think the philosophy really should
10 be not so much trying to cure patients with
11 relapsed and refractory solid tumors and leukemias,
12 but really how to rationally develop the agent to
13 move this forward into an upfront regimen.

14 Toward that end, I think a combination with
15 single-agent cyclophosphamide, I think, isn't
16 really going to provide as much information perhaps
17 as a combination with topotecan and
18 cyclophosphamide that's used in combination for the
19 upfront care, for example, in patients with
20 neuroblastoma and also in other solid tumors.

21 I would encourage the sponsor to think about
22 regimens or cassettes that might be moved upfront

1 and trying to obtain tolerability and efficacy data
2 in that context.

3 DR. PAPPO: Thank you. Dr. Neville?

4 DR. NEVILLE: Just to agree with Julia and
5 clarify what I said earlier, in the choices here,
6 it's not just because they've seen the drug before,
7 but I think one drug on top of single-agent
8 cyclophosphamide in a relapsed or refractory
9 neuroblastoma, I'm not optimistic about efficacy,
10 and I would hate to see the drug killed because it
11 wasn't on top of a robust enough backbone.

12 DR. PAPPO: Thank you. Any additional
13 questions or comments?

14 (No response.)

15 DR. PAPPO: To summarize, then, to try to
16 develop a more robust backbone to combine this
17 agent where the chemotherapy that potentially will
18 eventually be moved to either phase 2 or an upfront
19 regimen, and so basically just to reconsider the
20 drug combinations that you are proposing for the
21 various diseases.

22 Any other suggestions or questions?

1 (No response.)

2 DR. PAPPO: Now, I think we have a break.
3 One more question.

4 DR. EHRLICH: Discuss other relevant
5 pediatric cancers, including clear cell sarcoma of
6 the kidney and Wilms tumor, for which a biologic
7 rationale for the evaluation of venetoclax exists
8 with high BCL-2 expression in the absence of
9 xenograft animal models.

10 DR. PAPPO: I don't have my little thing.
11 If you think that the question has no issues, let's
12 proceed with the comments. I lost my little thing
13 over here.

14 If there are no questions or comments
15 concerning the wording or the question, we will now
16 open the question for discussion.

17 Dr. Neville?

18 DR. NEVILLE: I think some of this has been
19 covered. With the more aggressive rare diseases,
20 there are a paucity of treatments available. And
21 so I would encourage the sponsor where there is
22 biologic evidence that this may have activity, to

1 open those cohorts, especially, as Julie said, in
2 the phase 1.

3 I think clear cell and refractory Wilms,
4 we've got nothing in other solid tumors where there
5 may be activity.

6 DR. PAPP0: Julie?

7 DR. GLADE BENDER: Just to reiterate, those
8 cohorts don't have to fully accrue for the study to
9 close. If you saw response in 3 out of 3 clear
10 cell sarcomas, that would be pretty convincing
11 evidence that the drug was active.

12 DR. PAPP0: Yes, Steve?

13 DR. DuBOIS: Just to add my support. The
14 figure 2 in the briefing document I think is really
15 trying to tell us something about clear cell
16 sarcoma of the kidney, where the BCL-2 to BCL-XL
17 ratio is really much higher and much tighter than
18 all of the other diseases, pediatric diseases
19 presented.

20 I think we have precedent for these very
21 rare but aggressive solid tumors, that if you build
22 it, they will come. You can certainly, I think,

1 learn a fair bit even in an early phase trial.

2 DR. PAPPO: Thank you. Any additional
3 comments or suggestions?

4 (No response.)

5 DR. PAPPO: To summarize, to try to consider
6 expanding the number of histologies that are
7 included in your solid tumor cohort, including
8 patients, for example, with clear cell sarcoma.

9 Any additional comments or suggestions?

10 (No response.)

11 DR. PAPPO: I think we are done with the
12 questions. We will now take a 20-minute break.

13 Panel members, please remember that there
14 should be no discussion of the meeting topic during
15 the break amongst yourselves or with any members of
16 the audience. We will resume at 9:45 in the
17 morning. Thank you.

18 (Whereupon, at 9:22 a.m., a recess was
19 taken.)

20 DR. PAPPO: We will now proceed with
21 topic 2, tazemetostat from Epizyme Incorporated.
22 Dr. Lauren Tesh will read the conflict of interest

1 statement for this session.

2 **Conflict of Interest Statement**

3 DR. TESH: The Food and Drug Administration
4 is convening today's meeting of the Pediatric
5 Subcommittee of the Oncologic Drugs Advisory
6 Committee under the authority of the Federal
7 Advisory Committee Act of 1972.

8 With the exception of the industry
9 representative, all members and temporary voting
10 members of the committee are special government
11 employees or regular federal employees from other
12 agencies and are subject to federal conflict of
13 interest laws and regulations.

14 The following information on the status of
15 this committee's compliance with the federal ethics
16 and conflicts of interest laws covered by, but not
17 limited to, those found at 18 U.S.C. Section 208 is
18 being provided to participants in today's meeting
19 and to the public.

20 FDA has determined that members and
21 temporary voting members of this committee are in
22 compliance with federal ethics and conflict of

1 interest laws.

2 Under 18 U.S.C. Section 208, Congress has
3 authorized FDA to grant waivers to special
4 government employees and regular federal employees
5 who have potential financial conflicts when it is
6 determined that the agency's need for a special
7 government employee's services outweighs his or her
8 potential financial conflict of interest or when
9 the interest of the regular federal employee is not
10 so substantial as to be deemed likely to affect the
11 integrity of the services which the government may
12 expect from the employee.

13 Related to the discussion of today's
14 meeting, members and temporary voting members of
15 the committee have been screened for potential
16 financial conflicts of interest of their own, as
17 well as those imputed to them, including those of
18 their spouses or minor children, and, for purposes
19 of 18 U.S.C. Section 208, their employers. These
20 interests may include investments, consulting,
21 expert witness testimony, contracts, grants CRADAs,
22 teaching, speaking writing, patents and royalties,

1 and primary employment.

2 This session's agenda involves information
3 to gauge investigator interest in exploring
4 potential pediatric development plans for five
5 chemical entities in various stages of development
6 for adult cancer.

7 The subcommittee will consider and discuss
8 issues concerning diseases to be studied, patient
9 populations to be included, and possible study
10 designs in the development of these products for
11 pediatric use.

12 The discussion will also provide information
13 to the agency pertinent to the formulation of
14 written requests for pediatric studies, if
15 appropriate.

16 The product under consideration for this
17 session is tazemetostat, presentation by Epizyme,
18 Inc.

19 This is a particular matters meeting during
20 which specific matters related to Epizyme's product
21 will be discussed.

22 Based on the agenda for today's meeting and

1 all financial interests reported by the committee
2 members and temporary voting members, conflict of
3 interest waivers have been issued in accordance
4 with 18 U.S.C. Section 208(b)(3) to Drs. Pappo and
5 DuBois.

6 Dr. Pappo's waiver involves his employer's
7 current interest with Epizyme for a study of
8 tazemetostat, which is estimated to be between zero
9 and \$50,000 per year in funding.

10 Dr. DuBois' waiver involves his employer's
11 two current studies of tazemetostat funded by
12 Epizyme, which are estimated to be between \$100,000
13 and \$300,000 per year per study in funding.

14 The waivers allow these individuals to
15 participate fully in today's deliberations. FDA's
16 reasons for issuing the waivers are described in
17 the waiver documents, which are posted on the FDA's
18 website.

19 Copies of the waivers may be also obtained
20 by submitting a written submission request to the
21 agency's Freedom of Information Division at
22 5630 Fishers Lane, Room 1035, Rockville, Maryland,

1 20857 or a request may be sent via fax to
2 301-827-9267.

3 To ensure transparency, we encourage all
4 standing members and temporary voting members to
5 disclose any public statements they have made
6 concerning the product at issue.

7 With respect to FDA's invited industry
8 representative, we would like to disclose that
9 Dr. P.K. Morrow is participating in this meeting as
10 a non-voting industry representative acting on
11 behalf of regulated industry. Dr. Morrow's role at
12 this meeting is to represent industry in general
13 and not any particular company. Dr. Morrow is
14 employed by Amgen.

15 We would like to remind members and
16 temporary voting members that if the discussions
17 involve any other products or firms not already on
18 the agenda for which an FDA participant has a
19 personal or imputed financial interest, the
20 participants need to exclude themselves from such
21 involvement and their exclusions will be noted for
22 the record.

1 FDA encourages all other participants to
2 advise the committee of any financial relationships
3 that they might have with the firm at issue. Thank
4 you.

5 DR. PAPPO: Both the FDA and the public
6 believe in a transparent process for
7 information-gathering and decision-making. To
8 ensure such transparency at the advisory committee
9 meeting, FDA believes that it is important to
10 understand the context of an individual's
11 presentation.

12 For this reason, FDA encourages all
13 participants, including the sponsor's nonemployee
14 presenters, to advise the committee of any
15 financial relationships that they may have with the
16 firm at issue, such as consulting fees, travel
17 expenses, honoraria, and interests in the sponsor,
18 including equity interests and those based upon the
19 outcome of the meeting.

20 Likewise, FDA encourages you, at the
21 beginning of your presentation, to advise the
22 committee if you do not have any such financial

1 relationships.

2 If you choose not to address the issue of
3 financial relationships at the beginning of your
4 presentation, it will not preclude you from
5 speaking.

6 We will now proceed with the sponsor's
7 presentation.

8 **Industry Presentation - Peter Ho**

9 DR. HO: Good morning. My name is Peter Ho,
10 and I'm the chief medical officer for Epizyme. On
11 behalf of my colleagues, we'd like to thank the
12 committee for the opportunity to present today our
13 clinical development plan for tazemetostat for the
14 treatment of pediatric patients with malignant
15 rhabdoid tumors and other INI1-negative tumors.

16 The agenda for our presentation is shown
17 here. We will provide background on tazemetostat,
18 our inhibitor of EZH2, then speak to the relevance
19 of EZH2 to childhood tumors, and finally, we will
20 outline our clinical development program in both
21 adult and pediatric patients.

22 EZH2 is the catalytic subunit of the PRC2

1 chromatin remodeling complex. The SWI/SNF complex
2 is another multimeric protein that is involved in
3 chromatin remodeling and acts as an antagonist of
4 PRC2.

5 Mutations in SWI/SNF components, notably
6 INI1 or SMARCA4, interfere with normal SWI/SNF
7 function, resulting in unopposed PRC2 activity.
8 This then leads to hyper-repression of PRC2 target
9 genes, potentiation of stem cell programs, and
10 oncogenic transformation in affected cells.

11 Tumors with these SWI/SNF mutations,
12 including malignant rhabdoid tumors or MRT, are
13 characterized by their oncogenic dependence on
14 H3K27 trimethylation.

15 Tazemetostat is a potent, selective, and
16 orally bioavailable small molecule that is an
17 inhibitor of the histone methyltransferase, EZH2.
18 EZH2 itself is an enzyme that adds one, two, and
19 ultimately three methyl groups unto histone H3 at
20 the lysine 27 position.

21 H3K27 is considered a transcriptionally
22 repressive mark. Since many of the target genes

1 under EZH2 regulation are tumor suppressors,
2 excessive function of EZH2 is oncogenic in a number
3 of cancers, including B-cell non-Hodgkin's
4 lymphoma.

5 Now, this oncogenic drive can be reversed by
6 pharmacologic inhibition of EZH2. Tazemetostat
7 exhibits potent and long-lasting antitumor
8 activity, both in in vitro and in vivo models of
9 rhabdoid tumors characterized by INI1 loss or
10 SMARCA4 loss.

11 Now, this includes malignant rhabdoid tumor
12 of ovary, shown here on the right, which is also
13 termed small cell carcinoma of ovary hypercalcemic
14 type.

15 Rhabdoid tumors are among the most
16 aggressive and lethal forms of human cancer.
17 They're typically diagnosed in infants and
18 children, but they can occur at any age, including
19 in adults.

20 Malignant rhabdoid tumors share a common
21 genetic feature and that is the complete loss of
22 the protein INI1, also known as BAF1 or SMARCB1,

1 located on chromosome 22. More recently, lesions
2 on chromosome 19, which result in loss of SMARCA4
3 protein, have also been found in rhabdoid tumors.

4 Detection of INI1 loss by
5 immunohistochemistry is considered the diagnostic
6 test for malignant rhabdoid tumors and as such,
7 malignant rhabdoid tumors represent a group of
8 uncommon tumors that can arise from any organ and
9 tissues within the body but are most commonly found
10 in brain, kidney, and other soft tissues.

11 When arising in the kidney, these tumors are
12 termed rhabdoid tumor of the kidney, and these
13 tumors have historically been treated on National
14 Wilms Tumor Study Group and Intergroup
15 Rhabdomyosarcoma Study protocols.

16 Even among uncommon childhood renal or soft
17 tissue tumors, these cancers are rare. They're
18 diagnosed most commonly in infants, and the
19 prognosis is very poor, especially in the youngest
20 of these infants.

21 When arising in the CNS, these tumors are
22 termed atypical teratoid rhabdoid tumors. Again,

1 they're rare and most often diagnosed in infants,
2 in which they tend to be infratentorial, as opposed
3 to older children where they tend to have
4 supratentorial tumors. As with rhabdoid tumors
5 arising in other locations, the outcome of children
6 with these tumors is dismal.

7 Now, the current treatment approach for
8 rhabdoid tumors consist of multi-modality
9 treatment. This includes maximal surgical
10 resection and intensive multi-agent chemotherapy.

11 Radiotherapy may or may not be given,
12 depending on the child's age. In the case of ATRT,
13 autologous transplant and intrathecal chemotherapy
14 are commonly used. However, as seen on this slide,
15 both radiotherapy and intensive systematic
16 chemotherapy all bring with them major morbidity
17 and constraints to their delivery, especially in
18 infants and young children.

19 Despite intensive multi-modality approaches
20 taken, the survival outcomes for patients with
21 rhabdoid tumors are extremely poor, regardless of
22 the organ of origin for these tumors.

1 The median overall survival for ATRT, RTK,
2 extra CNS, extra renal MRT is generally less than
3 one year from initial diagnosis.

4 Tazemetostat initially entered into the
5 clinic in 2013 in France in a first in-human
6 phase 1 trial that involved patients with both
7 B-cell non-Hodgkin's lymphoma and solid tumors.

8 The phase 2 portion of this study, which
9 includes only NHL patients, started in a number of
10 European and North American countries, as well as
11 Australia in 2015 and 2016.

12 Our program in INI1-negative, and SMARCA4-
13 negative tumors, and synovial sarcoma began just
14 last year with the U.S. IND. The adult and
15 pediatric studies were started approximately six
16 months ago.

17 Since then, these studies have been expanded
18 to countries in the European Union, Canada,
19 Australia, and Taiwan. Tazemetostat was granted
20 orphan drug designation for malignant rhabdoid
21 tumors earlier this year.

22 Finally, we have an accepted IND for

1 mesothelioma patients who have a loss of function
2 of the protein, BAP1, in the U.S., with our
3 European submissions currently in progress.

4 The clinical trials experience in adults
5 consists of the previously mentioned first in-human
6 phase 1 study, which is now close to accrual. Our
7 current active studies in adults include global
8 phase 2 studies in B-cell non-Hodgkin's lymphoma,
9 and the INI1-negative or SMARCA4-negative tumors,
10 and synovial sarcoma.

11 The next slide summarizes the first in-human
12 phase 1 experience in adults. The study is a
13 standard 3-plus-3 dose escalation with expansion
14 cohorts, as well as clinical pharmacology
15 sub-studies for food effects and drug-drug
16 interactions.

17 Tazemetostat was dosed orally from
18 100 milligrams to 1600 milligrams twice daily. The
19 primary and secondary endpoints are standard for a
20 phase 1 study of this type.

21 Although we observed promising clinical
22 activity in lymphoma, as would be expected based on

1 the preclinical data, I will not review those data
2 here today. Instead, I'll focus on the solid tumor
3 experience.

4 Of the 37 solid tumor patients enrolled, we
5 had 11 patients with tumors characterized by INI1
6 or SMARCA4 negativity, as shown here. As you can
7 see, patients included those with malignant
8 rhabdoid tumor, epithelioid sarcoma, malignant
9 rhabdoid tumor of ovary, and thoracic sarcoma.

10 As you can see on this next slide, the
11 aggregate safety experience among our phase 1 and
12 phase 2 patients is quite favorable. The most
13 common adverse events, regardless of attribution,
14 were grade 1 and 2 asthenia, nausea, anorexia,
15 constipation, dysgeusia, and emesis.

16 We also observed thrombocytopenia and
17 neutropenia, which, in rare patients, can rise to
18 grade 3 or 4 events in approximately 5 percent and
19 2 percent of patients, respectively.

20 However, this pattern of myelosuppression is
21 unlikely that of more conventional cytotoxic
22 chemotherapies in that the vast majority, 85 to

1 90 percent of patients, do not experience any
2 myelosuppression while on study.

3 In this waterfall plot, which we presented
4 at the European Cancer Congress in 2015, we can see
5 that tumor reductions were selectively observed in
6 adult patients with INI1-negative or
7 SMARCA4-negative tumors.

8 No patient with any other solid tumor which
9 did not have these genetic lesions experienced an
10 objective response to tazemetostat.

11 This next slide details a 55-year-old male
12 with an INI1-negative malignant rhabdoid tumor who
13 was originally treated with definitive surgery and
14 adjuvant radiotherapy. His response to initial
15 therapy was short-lived, and he relapsed soon
16 thereafter with bilateral cervical lymphadenopathy.
17 After starting on tazemetostat, he showed loss of
18 metabolic signal by PET after only four weeks.

19 By week 8, he had a radiographic complete
20 response, and at week 20, he underwent re-biopsy of
21 his lymph node, which confirmed a pathologically
22 complete response.

1 When these data were presented in September
2 2015, the patient had been on study for 65 weeks in
3 an ongoing complete response. This patient remains
4 on study today, with no evidence of disease.

5 There are many lessons that we can learn
6 from phase 1. For today's discussion, the most
7 relevant findings are that adult patients with
8 INI1-negative or SMARCA4-negative tumors
9 experienced objective responses consisting of
10 complete and partial response.

11 We've also observed patients with stable
12 disease lasting six months or greater, which is of
13 note given the aggressive nature of these tumors.

14 Our current pediatric phase 1 study of
15 tazemetostat is outlined over the next several
16 slides. It's currently open for accrual in the
17 U.S., Denmark, France, the UK, Australia, with
18 additional countries later to join.

19 All patients are required to have local
20 testing showing INI1 or SMARCA4 negativity or the
21 chromosomal translocation characteristic for
22 synovial sarcoma. We are, however, collecting

1 archival tumor samples for central confirmatory
2 immunohistochemistry and pathologic review.

3 The study uses a rolling-6 dose escalation
4 design, and the starting dose of 240-milligram per
5 metered squared twice a day was derived from
6 physiologically-based pharmacokinetic modeling
7 observed in adults using PK data that we obtained
8 there.

9 Following dose escalation, we will enroll
10 into expansion cohorts in each of the three
11 categories of tumors shown here.

12 Shown on the next slide are the primary,
13 secondary, and exploratory endpoints for the study.
14 We will, after the dose escalation, to determine
15 the phase 2 dose, the primary endpoint for the dose
16 expansion phase of the study is overall response
17 rate, with secondary endpoints being duration of
18 response, PFS, overall survival, and safety.

19 The main inclusion criteria for the study
20 are shown here, and you have the full set of
21 inclusion criteria described in your briefing
22 books. Patients must be age 6 months to 21 years,

1 and they must have relapsed or refractory disease.

2 Shown here are the main exclusion criteria,
3 and again, the full exclusion criteria are
4 described in your briefing books.

5 As of two weeks ago, we have enrolled
6 16 patients and are currently on the third dose
7 cohort of 400-milligram per metered squared. As
8 you can see, we have enrolled a number of rhabdoid
9 tumor patients, along with patients having other
10 INI1-negative tumors. The age range for our
11 patients on study begins at 13 months and spans up
12 to older teenagers.

13 We're currently using an oral suspension
14 formulation of tazemetostat for our trial. It is
15 prepared at the site's investigational pharmacy and
16 provided to patients as a two-week supply. It can
17 be swallowed or administered through a nasogastric
18 or gastric tube. We're continuing with development
19 of a commercial formulation for children that will
20 be reconstituted in water.

21 In addition to our pediatric phase 1 study,
22 we have additional ongoing studies to support

1 pediatric development. We're collaborating with
2 the NCI in the pediatric preclinical testing
3 program and the results from this collaboration
4 were presented at the Molecular Targets meeting
5 last fall.

6 In addition, we're in active discussions
7 with the NCI to include tazemetostat in the
8 pediatric MATCH trial that will be run by the
9 Children's Oncology Group.

10 As others have highlighted, there are many
11 challenges to developing novel agents for pediatric
12 cancers. We feel that we've made substantial
13 headway on two of the more common issues for
14 sponsors, that of recruitment of children as
15 patients and of having a pediatric formulation
16 suitable for clinical trials.

17 However, we're still left with many
18 challenges to consider. Malignant rhabdoid tumors
19 are unquestionably rare, even in the pediatric
20 population. To appropriately characterize safety,
21 we plan to enroll up to 84 patients in our current
22 phase 1 study with the final sample size to be

1 discussed with the agency when more clinical data
2 are available. We will, however, supplement the
3 safety database in children with our experience
4 from adults across multiple tumor types.

5 As demonstrated, malignant rhabdoid tumors
6 are uniformly aggressive tumors that are highly
7 lethal in children. We propose that the current
8 trial be considered as adequate and well-controlled
9 to demonstrate safety and efficacy in this
10 pediatric population and propose to have further
11 discussions with the agency on this.

12 Finally, given the biology behind rhabdoid
13 tumors, we propose that the common genetics
14 underlying this disease, namely that of INI1 or
15 SMARCA4 loss, be the distinguishing characteristic
16 in defining the potential indication rather than
17 using more traditional histology or organ of
18 origin.

19 In summary, rhabdoid tumors are a rare
20 disease with high unmet medical need. The safety
21 profile of tazemetostat as monotherapy is favorable
22 for development, both as a single agent and in

1 combination with other therapies.

2 Tazemetostat has shown promising clinical
3 activity in patients with both B-cell non-Hodgkin's
4 lymphoma, as well as solid tumors. We feel that
5 study 102 may be appropriate for consideration of a
6 written request.

7 Again, our thanks for this opportunity to
8 present to the committee.

9 **Clarifying Questions from Subcommittee**

10 DR. PAPP0: Thank you very much. We will
11 now take clarifying questions for the sponsor.
12 Please remember that we have additional questions
13 for the subcommittee, and this should be addressed
14 exclusively to the sponsor.

15 Please remember to state your name for the
16 record before you speak. If you can, please direct
17 questions to a specific presenter.

18 I put my name first, so then we'll do Julia,
19 and then we'll do Nita, and then we'll do Peter.
20 The couple of questions I had, the obvious one,
21 have you done any PK documenting that this agent
22 penetrates into the CNS?

1 DR. HO: Sure. In animal models, the drug
2 does not penetrate in intact blood-brain barrier.
3 However, of course, we do know that ATRT tumors are
4 contrast-enhancing and may involve disruption of
5 the blood-brain barrier.

6 Right now, it's unknown to us the extent to
7 which tazemetostat crosses the blood-brain barrier
8 in patients who have primary or metastatic brain
9 tumors.

10 Now, in our pediatric study, we do plan to
11 quantify tazemetostat in the CSF from subjects by
12 collecting their CSF samples at certain times, if
13 that is warranted, and to look at tazemetostat
14 concentrations.

15 DR. PAPPO: A question regarding the adult
16 use with BAP1 mutant tumors. Are you planning to
17 expand this to melanoma and uveal melanoma syndrome
18 with mesothelioma or just mesothelioma?

19 DR. HO: No, we're very interested in BAP1
20 mutations in uveal melanoma, in particular. We're
21 starting off in mesothelioma, but that's certainly
22 a direction that we would ultimately want to take.

1 DR. PAPP0: The final question is, are there
2 already some combination chemotherapy trials with
3 EZH2 inhibitor in adults that eventually could
4 guide the combination therapies in pediatrics?

5 DR. HO: Absolutely. We've done, first off,
6 preclinical work in NHL models. What we found is
7 that the components of CHOP, commonly used in
8 lymphomas, do have additivity or synergy with the
9 drug. In particular, steroids are synergistic with
10 the drug, but also alkylators as well.

11 We will be starting a study in adult
12 patients with lymphoma of tazemetostat in
13 combination with our CHOP. We are also going to be
14 starting a combination study with a checkpoint
15 inhibitor, actually, atezolizumab, which I
16 understand the committee will hear a little bit
17 more about later today.

18 DR. PAPP0: Thank you. Dr. Glade Bender?

19 DR. GLADE BENDER: Julia Glade Bender,
20 Columbia University. I want to preface my remarks
21 by saying that this is a very interesting agent for
22 pediatrics. I noticed that there was no

1 preclinical toxicity data included in the
2 preparation materials.

3 In particular, I wanted to know whether
4 there was any cardiac toxicity, because those were
5 inclusion-exclusion criteria. Also, these are
6 going to be very young patients, potentially on for
7 very long periods of time. Is there any long-term
8 toxicity data in any animals? Finally, what
9 happens if you stop the drug?

10 DR. HO: A series of questions. Let me make
11 sure I take them all. If I miss one, just remind
12 me.

13 With respect to cardiac toxicity, we've
14 done, in adult animals, the standard four-week and
15 three-month toxicology studies in rat and monkey.
16 We've also done a juvenile rat study of three
17 months' duration as well.

18 In none of those studies did we see any
19 significant cardiac toxicity. Of course, you can
20 imagine we're monitoring cardiac toxicity very
21 closely in our adult studies, especially given the
22 patient population and age. We haven't seen any

1 signals there, but, of course, it is still
2 relatively early. So we need to follow that up
3 some more.

4 The criteria that are described in the
5 protocol are ones that are just fairly standard.
6 They weren't placed there for any particular
7 concern. Your other question?

8 DR. GLADE BENDER: Long-term toxicity.

9 DR. HO: In patients, we have patients from
10 our phase 1 study that have been treated a
11 year-and-a-half, two years, and even one patient
12 coming up to two-and-a-half years. There hasn't
13 been any evidence of any accumulative toxicities
14 that have appeared over time.

15 The patients actually seem to be tolerating
16 it well. When patients do have adverse events,
17 actually, we tend to see them relatively early, and
18 they don't become an issue.

19 DR. GLADE BENDER: But is there any data on
20 developmental programs in juvenile animals?

21 DR. HO: No, we haven't started those
22 studies, preclinical studies, as yet.

1 DR. GLADE BENDER: Has anybody stopped the
2 drug who's responded to the drug, or what happens
3 when you release the drug in the animal models?

4 DR. HO: That's a very good question. In
5 the animal models, unlike some agents, if you stop
6 the drug, the tumor doesn't always grow back. Of
7 course, we only follow the animals through a
8 defined period of time.

9 In our clinical trials, we do have long-term
10 responders, both with malignant rhabdoid tumor and
11 B-cell NHL. We have not stopped the drug on any of
12 the patients.

13 Thankfully, the drug is orally bioavailable,
14 so it's not like patients have to come back for
15 infusions. Nevertheless, I think the question you
16 raise is an excellent one, and that's something
17 that we really have to work out on an individual
18 basis with our investigators.

19 DR. PAPPO: Thank you. Dr. Seibel?

20 DR. SEIBEL: Peter, first of all, thank you,
21 and we commend you for taking on this rare patient
22 population that has a devastating outcome.

1 I have several questions. First of all, is
2 there a time range for the response that you see or
3 does it happen right away?

4 DR. HO: What we've seen in adults is that
5 when there have been responses in the solid tumors,
6 they actually have occurred, again, just from the
7 phase 1 experience, with the first re-staging,
8 scheduled re-staging at 8 weeks.

9 Now, that contrasts with our NHL experience,
10 where we've seen that patients with B-cell disease
11 have entered into an initial objective response
12 anywhere from two months, again the first
13 re-staging, all the way through 10 months.

14 There are patients with B-cell lymphomas
15 who, in tracking their tumor measurements, have a
16 slow, but persistent decline in their tumor load
17 and eventually go into an initial response later.

18 We've also seen in the adult NHL population
19 patients who have had an initial PR that anywhere
20 from several months to even one year later convert
21 from a PR to a CR. It's an interesting facet of
22 the drug. In solid tumors, our experience is still

1 much more limited.

2 DR. SEIBEL: Do you have any data about
3 resistance development?

4 DR. HO: We did do work in laboratory
5 models. It's sort of a typical thing that my folks
6 do, is incubate the tumor cells in increasing
7 concentrations of the drug.

8 Initially, we actually had a difficult time
9 generating resistance in mutant cells through this
10 approach. Through persistence, we have those lines
11 in place now, and we're continuing to characterize
12 them.

13 DR. SEIBEL: You also commented on the
14 unusual pattern for myelosuppression that you've
15 seen in patients. Can you expand a bit more on
16 that?

17 DR. SEIBEL: I think, certainly, we would
18 say based on the phase 1 and phase 2 experience
19 that thrombocytopenia and neutropenia can be drug-
20 related.

21 We've seen some patients with lymphomas,
22 later in their course on treatment as disease is

1 progressing, will experience thrombocytopenia and
2 neutropenia that seems to be associated with
3 disease progression and infiltration of the marrow.

4 However, we have also seen other patients
5 who have seen that relatively early in a time
6 course. Now, again, unlike a lot of drugs where
7 there may be, I don't know, 15, 20 percent of
8 patients have grade 3-4 neutropenia, but most of
9 the other patients have some degree of lower grade
10 myelosuppression. That's what we don't see.

11 Can I have the slide up, please? As you can
12 see here, when we look at the degree of
13 thrombocytopenia and neutropenia -- now, this comes
14 from our phase 2 NHL studies, so just consider
15 that.

16 What we've seen based on laboratory results
17 is that, actually, grade 4 events are quite rare.
18 There'll be patients who have some grade 3s, but
19 overall, the incidence, as you can see here, of
20 either a grade 3 or 4 neutropenia or
21 thrombocytopenia is roughly 10 percent or less.

22 DR. SEIBEL: Then my last question is, are

1 there other molecular targets that may be available
2 in the future that we could use for this drug or to
3 identify patients who may respond to this drug?

4 DR. HO: Can I have the slide showing the
5 SWI/SNF complex?

6 As alluded to earlier, the SWI/SNF complex
7 is a very large multimeric protein. We've really
8 only begun scratching the surface with respect to
9 co-dependencies here.

10 We have looked at synovial sarcoma, because
11 these tumors involve the translocation, which then
12 ultimately affects the SS18 component of the
13 complex that then results in reduced expression of
14 INI1.

15 As you can see, there are many tumors here
16 that are associated with other members of the
17 SWI/SNF complex. At the same time, I wouldn't go
18 so far to say that every single component of
19 SWI/SNF and thus every tumor here represents a
20 viable target.

21 I think there are things to consider, but
22 certainly, I'm not sure that any single genetic

1 lesion in any one of these components may result in
2 the same functional co-dependencies that we've seen
3 in rhabdoid tumors, just continued work to be done.

4 DR. PAPPO: Thank you. Dr. Adamson?

5 DR. ADAMSON: I had a few questions, Peter,
6 about dose. And I think for this drug, dose is
7 going to be highly relevant, especially when we
8 come to CNS tumors.

9 If I understand the adult data
10 correctly -- and you can correct me -- you went up
11 as high as 1600 milligram BID flat dosing, which
12 means, by definition, 800 milligram BID flat dosing
13 is no greater than 50 percent of the adult MTD and
14 probably less than 50 percent of the adult MTD.

15 You started at a dose half of that at 240,
16 which would be no greater than 25 percent of an
17 MTD, which is an extraordinarily low dose for us
18 historically.

19 You are, I would say, very painstakingly
20 getting back up to a dose that is below the MTD.
21 Can you clarify the rationale for this? Then I
22 have a related question when we come down to

1 infants.

2 DR. HO: Fair enough. Can I have the slide
3 for the dose selection for phase 2 in adults,
4 please?

5 Indeed, we did not reach a more traditional
6 definition which we all are comfortable with,
7 meaning two or more DLTs and looking at the dose
8 level below that as the MTD.

9 We did see one dose limiting toxicity of
10 grade 4 thrombocytopenia in a patient at the
11 highest dose level of the 1600 milligrams twice a
12 day. That's 1 out of 6. But we did not get 2 out
13 of 6. At that point, we looked across, as you can
14 see here, efficacy, safety, and PKPD to derive the
15 800-milligram dose in adults as the recommended
16 phase 2 dose.

17 You can see here that was based on efficacy
18 in NHL where we had seen, with the smaller numbers
19 in the phase 1 study, of course, that the efficacy
20 was roughly similar between 800 and 1600. There
21 were some increases in grade 3 or greater
22 treatment-emergent adverse events between 800 and

1 1600.

2 Then lastly, let me speak to PKPD. What we
3 did here was we looked at, as a PD marker, the
4 inhibition of trimethylated H3K27, but we used skin
5 as a surrogate tissue. When we evaluated that, you
6 can see the dose response curve shown here, where
7 there does seem to be a plateauing out at
8 approximately 800 milligrams.

9 Again, to be fair, this is surrogate tissue.
10 It may or may not reflect accurately what's in the
11 tumor, but using all of these data, that's how we
12 derived the dose.

13 Part of that rationale was that unlike a
14 traditional cytotoxic drug, we feel that for the
15 way that this drug works in terms of altering gene
16 expression and then inducing an altered phenotype,
17 that patients needed to be on it for chronic
18 periods.

19 The question before from the committee,
20 well, what would happen if you take a patient off,
21 we don't know. We also did not want to necessarily
22 push the dose to what would even be an MTD such

1 that patients might come off, so it was a
2 consideration of that.

3 Now, we do have limited data from the adult
4 phase 1 experience of H3K27 inhibition in tumor
5 tissue.

6 If I could have that slide, please? I'll
7 just show you what we have, and that is that in a
8 couple of patients, oddly enough, INI1-negative
9 patients, and these are adults, of course, rhabdoid
10 tumors of kidney, shown above, epithelioid sarcoma
11 below.

12 You can see on the left-most panel that for
13 INI1 staining, there's a lot of blue cells, very
14 little brown, showing INI1 negativity. There's
15 still some brown staining and that results from
16 stromal cells and infiltrating lymphocytes that do
17 express INI1.

18 But that, at baseline, if one stains for
19 H3K27 -- this is the middle panel -- you can see
20 that in both tumors, all of the cells are diffusely
21 positive, whereas by week 4, this was negative in
22 100 percent of all of the larger nucleated tumor

1 cells in the top panel and approximately half of
2 the tumor cells in the lower panel.

3 It's limited data, but it does suggest some
4 correlation certainly with what we're seeing in the
5 skin. And in these cases, the reduction in the PD
6 marker correlated with clinical activity in these
7 patients.

8 We certainly need to have more data from the
9 adults, both in NHL and in solid tumors. In the
10 pediatric study, what we're trying to do is to look
11 at H3K27 methylation in circulating the mononuclear
12 cells instead of skin, understanding that it'll be
13 difficult to get pre- and post-tumor biopsies.

14 DR. ADAMSON: Peter, let me drill that down
15 a little more. Your recommended phase 2 dose in
16 adults is the flat 800 based on PD.

17 DR. HO: Right.

18 DR. ADAMSON: I think the point I would
19 make -- and this is not isolated here. When the
20 recommended phase 2 dose in adults is well below
21 the MTD, there's no reason to start below the
22 recommended phase 2 dose when going in children.

1 By definition, you're at 50 percent or lower.

2 The reason that I think that's important,
3 one is it impacts the efficiencies of these trials.
4 You could have certainly begun, in my view, at your
5 recommended phase 2 dose normalized. That would
6 have put you, I think, close to dose level 4 of
7 your study. That's water under the bridge, and
8 that's okay. I think this applies to other drugs
9 where we have to get out of the cytotoxic
10 chemotherapy mode, but we always start below the
11 adult MTD when we come to these drugs where we're
12 not going in with the adult MTD.

13 DR. HO: Right.

14 DR. ADAMSON: The two related questions I
15 have are, if you don't -- right now, you're
16 stopping at 800, equivalent of 800 flat in the
17 escalation scheme, I believe, right?

18 DR. HO: Sorry. The initial four dose
19 levels chosen were based on PK modeling, but we'll
20 actually be amending the study to include higher
21 dose levels.

22 DR. ADAMSON: Okay. So I think, certainly,

1 if you don't see a signal in CNS, which may very
2 much be a dose -- if it is going to occur with
3 limited penetration, pushing in the CNS population,
4 I think it would be incredibly important to do.

5 With that said, on the other end of the
6 spectrum, as you work your way down into infants,
7 where if you see efficacy, this could become highly
8 relevant. As you know, that's where our knowledge
9 of how they dosed drugs 50 years ago becomes even
10 more limited.

11 Any consideration to flipping to a per kilo
12 as a safety measure as you go below one year of age
13 once you get to your recommended dose, if you see a
14 signal, especially if we get into this 6-month
15 range? It may not be in the relapsed setting, it
16 may be in your next trial, to getting some
17 experience with historical transitions to per kilo.

18 DR. HO: Absolutely. That's actually a
19 question that we grappled with in designing the
20 study, and I would say we're not necessarily
21 wedded to body surface area-based dosing.

22 Certainly, in the younger children, we would

1 be open to using alternative parameters to decide
2 the ultimate dose.

3 DR. PAPP0: Thank you. Dr. Warren?

4 DR. WARREN: Hey, Peter. I also want to
5 echo the excitement around this drug.

6 I have a couple of questions that expand,
7 once again, on Peter's. Does your preclinical
8 testing inform at all about what target exposure
9 you need for complete EZH2 inhibition? Is there a
10 dose response so that higher doses are more, or do
11 you hit a threshold at some point?

12 DR. HO: It depends on the model. In some
13 models, there is a dose -- there's never no dose
14 response, but in some cases, you do see a
15 plateauing effect. Overall, there is a dose
16 response such that higher doses can be better.
17 Have we gone above that? It's not clear.

18 I think there are two components here. One
19 certainly is dose as it should be, and the other is
20 duration of therapy. One of the things that we see
21 in preclinical models, which is a little different,
22 for many screens, for small molecule anticancer

1 agents in vitro, it's a two-day or a three-day
2 assay in vitro looking at cell kill.

3 We don't see effects generally in that case.
4 We end up using 7- and 14-day incubations.
5 Duration of exposure with drug is also an important
6 parameter to go along with dose.

7 DR. WARREN: If you have a known target
8 exposure preclinically, rather than using
9 circulating PBMCs in your patients, is there some
10 way to look at the concentration of the drug in the
11 tumor to see if you're coming close?

12 DR. HO: That would be great. Just in the
13 preclinical models, it's not clear that there is a
14 single number in terms of target exposure. I'd
15 want to be a little careful about extrapolating too
16 much.

17 To your point about using intratumoral
18 concentrations of drug to help decide the dose, I
19 think we'd certainly be open to that. Always the
20 practical issue is having a sample to analyze.

21 DR. WARREN: One last question. You know
22 there's been some interest in evaluating this in

1 diffuse infiltrating midline tumors with the
2 histo-mutation, which can also have effects on
3 EZH2.

4 DR. HO: Right.

5 DR. WARREN: A recent study out of Europe
6 showed that there was no cytotoxicity when you use
7 this agent for that. Do you have any insight as to
8 why that activity is lacking? Does that mean that
9 the K27 biomarker that you're using may not be an
10 appropriate biomarker?

11 DR. HO: It's difficult for me to comment on
12 the recent findings there outside of our own
13 clinical experience. For us, we have found that
14 using H3K27 are the appropriate models, as they
15 correlated what we're seeing in the clinic in terms
16 of tumors that are sensitive in NHL and in the
17 INI1. It does appear to be a very reasonable
18 marker for us to use.

19 The issue always is translating that to
20 tumor tissue in a clinical trial rather than a
21 surrogate tissue.

22 DR. PAPPO: Thank you. Dr. DuBois?

1 DR. DuBOIS: Thank you, Peter.

2 A few questions. I'm not aware of EZH2
3 mutations in pediatrics, and I wonder if you've
4 interrogated any commercial sequencing databases to
5 see if these exist. If so, might that be an
6 inclusion criterion to be considered perhaps in
7 pediatric MATCH or other future trials?

8 DR. HO: Absolutely. I think that's a great
9 question.

10 We have looked in various genetic databases.
11 What we find is that in pediatric solid tumors,
12 there certainly have been reports of EZH2
13 activating mutations in Ewing sarcoma.

14 In the other more common tumors in
15 pediatrics that end up appearing in these
16 databases, we really haven't found much. Certainly,
17 Ewing's would be something of interest and that's
18 under consideration, essentially any pediatric
19 tumor that has one of these known activating
20 mutations for the pediatric MATCH study.

21 DR. DuBOIS: Then a subset of these children
22 will have germline INI1 loss. And do you

1 anticipate any differential toxicity in that case
2 or not really because they'll be heterozygotes?

3 DR. HO: That's a great question, too. We
4 do allow for patients who have germline mutations
5 into our current trial. Short of speculating,
6 because I don't know what might be expected, I
7 think that's just something that we're going to
8 have to observe and analyze.

9 DR. DuBOIS: Last question. Can you update
10 us on where things stand with the synovial sarcoma
11 experience?

12 DR. HO: The phase 1 experience for synovial
13 there, we did not see responses in those patients
14 in phase 1.

15 Now, to be fair, as you know, it's a phase 1
16 study. Several of the patients were dosed at
17 levels well below our recommended phase 2 dose.
18 There was a patient who was treated at the
19 recommended phase 2 dose that progressed very
20 quickly, but then ends up being an N of 1.

21 We do have synovial sarcoma patients as part
22 of the phase 2 study in adults. It's a separate

1 cohort entirely. Again, that's study started just
2 a month before the pediatric study. It's been open
3 for six months, so it's still accruing. We'll be
4 looking closely at that for any lessons that we can
5 learn for pediatric patients with synovial sarcoma.

6 DR. PAPP0: Thank you. Dr. Raetz?

7 DR. RAETZ: Thank you for your presentation.
8 I have just two questions. I was wondering if you
9 could expand on the rationale for 6 months being
10 the lower age limit and whether there is any
11 consideration for even studying younger infants?

12 DR. HO: Right. Also, another point that we
13 had a lot of debate on in formulating the protocol.
14 In this case, because for the pediatric study we
15 are limiting ourselves to patients who have
16 relapsed and refractory disease, we thought that
17 basically even a very young patient diagnosed
18 shortly after birth would have a certain amount of
19 treatment, such that by the time they came on our
20 study, they'd be 6 months.

21 Having said that, as we move forward, if we
22 are seeing activity in children older than

1 6 months, we would certainly be open, with some
2 caution, of course, to evaluating patients younger
3 than 6 months.

4 DR. RAETZ: Just a second question. Would
5 you anticipate any differences in the toxicity
6 profile if it's administered after hydrotherapy in
7 autologous transplants?

8 DR. HO: For that question, I think the
9 answer is we do not anticipate differences. The
10 reason why I say that is because in the adult
11 experience, we did have many patients with NHL who
12 came on study after an autologous transplant.

13 When we've looked at those patients, we
14 don't see any gross differences in terms of the
15 safety profile.

16 DR. PAPP0: Thank you. Dr. Weigel?

17 DR. WEIGEL: Thank you. I want to echo the
18 appreciation for the presentation, Peter. This is
19 very exciting.

20 I'm following along. And, actually, several
21 of the things I was thinking follow along Peter
22 Adamson and Katherine Warren's thoughts and trying

1 to understand optimizing the dose and dose exposure
2 for the drug.

3 I wonder if you have any data to follow
4 along their thoughts. If you think about -- I'll
5 use the example of epithelioid sarcomas. It's a
6 indolent, generally slow-creeping disease, and you
7 compare that to a very aggressive malignant CNS
8 rhabdoid in a small child.

9 They may have, biologically, similarities.
10 I would argue they're actually very different
11 tumors. If we're looking at biomarker targeting,
12 what do we know about the dose exposure and the
13 exposure levels needed between those types of
14 tumors to say that we would be optimizing the dose,
15 because it might be different for something like an
16 epithelioid sarcoma, which is the example you used
17 in the biomarker data that you have. How can we
18 best ensure adequate dose exposure?

19 DR. HO: Again, I think that's an excellent
20 question and one we have given a lot of thought to.
21 Certainly, I accept that there may be differences
22 depending on disease. Far from our phase 1

1 experience, we haven't seen that necessarily jump
2 out in adults between solid tumors and lymphoma.
3 That would be the first cut. Certainly, within the
4 two examples that you mentioned, epithelioid
5 sarcoma and MRT, it could very well be that there
6 may be a need for different doses.

7 I think that's really something that we're
8 looking at carefully in the pediatric study as we
9 dose escalate. Again, I think it would be great if
10 we can try to use patient-based tissue to see if
11 there are, indeed, differences for us, especially
12 in pediatrics where the difficulty is in having
13 tumor tissue.

14 That's why we go to things such as
15 circulating mononuclear cells and even looking at
16 circulating DNA to see if that's informative to try
17 to have some other tissue-based source for
18 biomarker endpoints.

19 DR. WEIGEL: I think that sort of echoes my
20 thought. I'm predicting we're going to need higher
21 exposures for the tumors that we are most
22 interested in treating. Therefore, underdosing in

1 the pediatric study, to me, would be the bigger
2 concern, because I think then you, obviously, are
3 going to treat the more indolent ones.

4 I think my bigger concern is coming in under
5 what we would need for optimizing the drug.

6 DR. HO: Absolutely. Agreed.

7 DR. PAPPO: Thank you. Dr. MacDonald?

8 DR. MacDONALD: Tobey MacDonald, Emory.
9 With regard to ATRT, are you going to pay any
10 attention to the molecular subgrouping of the
11 disease, specifically ASCL1-positive and negative
12 status?

13 DR. HO: Right. Based on some of the recent
14 literature and, indeed, even as a disease such as
15 ATRT is becoming subdivided, certainly, we are
16 collecting tissue to try to look at that.

17 I think you're referring to this recent
18 publication. Certainly, within the groups of
19 groupings of tumors that came out of this
20 publication, we do see that one of the
21 commonalities is SMARCB1 or in this INI1 deletions.

22 Beyond that, we are looking at where we can

1 at potential differences to see if the groups do
2 respond differently. It's early, but we'll follow
3 it.

4 DR. MacDONALD: Second question. In regard
5 to CNS penetration in either preclinical or
6 clinical, have you seen CNS disease response of any
7 kind?

8 DR. HO: It's a mix. We've certainly had
9 some patients, NHL patients, who had on study
10 progression to CNS. We've also had one patient
11 with a solid tumor from the phase 1 who did come in
12 with a CNS metastasis. Actually, it was reported
13 to us after the patient came on study. But that
14 patient has had stable CNS disease over the course
15 of something like 8 to 9 months or so. Maybe
16 that's something there, maybe it's not. It's an
17 N of 1. I think we're just going to have to look
18 at this more.

19 In our formal phase 2 study of INI1 and
20 SMARCA4-negative patients in adults, we are
21 allowing on board patients who have asymptomatic
22 CNS metastases, and so we can follow those patients

1 as well.

2 DR. MacDONALD: Final question. Just in our
3 most young population with, again, CNS ATRT, in
4 which radiation is a primary modality where parents
5 and physicians would like to forego, any
6 consideration of doing this as upfront drug in that
7 particular patient population?

8 DR. HO: Absolutely. I think with diseases
9 that are as devastating as these, it's really a lot
10 of chemotherapy used up front. We would,
11 certainly, if we are seeing the activity in the
12 relapsed or refractory setting, be very open to
13 moving it into the upfront setting in combination.

14 DR. MacDONALD: Thank you.

15 DR. PAPPO: Ms. Haylock?

16 MS. HAYLOCK: I hesitate to even bring this
17 up and it's not really a question, but it's just a
18 comment.

19 In the lay literature and also in the
20 scientific literature, there's an increased
21 presence of an interest in the impact of sugars on
22 cancer cells to the point where patients are

1 oftentimes now refusing to take contrast mediums
2 and things like that just because of the high
3 amount of sugar.

4 I just looked up the formulation of
5 Ora-Sweet, your medium, and it does contain
6 sucrose, glycerin, and sorbitol as an oral syrup.
7 If you divide that up or do the math in terms of
8 the dose, patients will be taking quite a bit of
9 sugar.

10 I'm just commenting, I guess, that there is
11 a possibility that some patients, families might be
12 questioning the impact of significant amounts of
13 sugar in this drug.

14 DR. HO: That's a very fair comment. In the
15 current formulation, which is just in Ora-Sweet, it
16 is sweet. We've tested it, and we tasted it. We
17 would like to develop the later-stage formulation
18 that starts moving away from that. We agree.

19 DR. PAPPO: Thank you. I had another
20 question. Is there any data on all the other
21 tumors that are included that are INI1-negative,
22 whether they have a common pathway of

1 overexpression of EZH2 and trimethylation of K327,
2 for example, myoepithelial carcinoma, epithelioid
3 sarcoma, medullary renal carcinoma, or is it just a
4 representation of the tumor, but it's not a really
5 a driver? Has anybody looked at those tumors and
6 document that they overexpress EZH2 or not?

7 DR. HO: In terms of driver mutations, one
8 of the commonalities for many of these tumors is
9 that when there is, let's say, an INI1 loss or a
10 SMARCA4 loss, these tumors don't have many other
11 mutations. It's not definitive, but it would
12 certainly point to the fact that they're playing
13 more than just a passenger role. There has been
14 data that has come out for certainly renal
15 medullary carcinoma that there have been many other
16 mutations identified.

17 We're starting to look at that where we can.
18 Of course, a lot of the models where one might be
19 doing this are hard to find in some of these rare
20 tumors.

21 DR. PAPP0: Thank you. Any additional
22 questions? Steve?

1 DR. DuBOIS: Peter, your slide reminded me
2 about the role of CDK46 in rhabdoid tumors, and I
3 wondered if you or anyone, to your knowledge, is
4 looking at the combination of tazemetostat and a
5 CDK46 inhibitor in preclinical models?

6 DR. HO: That's a great question. We have
7 not ourselves there, but certainly something to
8 think about. There are some other EZH2 inhibitors
9 either in the clinic or preclinically. I don't
10 know what people might've done with CDK46s.

11 DR. PAPPO: Thank you. Any additional
12 questions?

13 (No response.)

14 DR. PAPPO: We have plenty of time.

15 (Laughter.)

16 DR. PAPPO: Thank you very much.

17 DR. HO: Thank you.

18 DR. PAPPO: I'm going to speak very slowly
19 like Dory.

20 (Laughter.)

21 **Questions to the Subcommittee and Discussion**

22 DR. PAPPO: There are no OPH speakers. We

1 will now proceed with the questions to the
2 committee and panel discussions.

3 I would like to remind public observers that
4 while this meeting is open for public observation,
5 public attendees may not participate except at the
6 specific request of the panel.

7 Let's start with the first question.

8 DR. BARONE: First question, please consider
9 the relevant pediatric cancers, including
10 non-Hodgkin's lymphoma, for which a biologic
11 rationale for the evaluation of tazemetostat
12 exists.

13 DR. PAPPO: If there are no questions or
14 comments concerning the wording or the question, we
15 will now open the question for discussion.

16 DR. DuBOIS: We haven't really talked about
17 the neuroblastoma. I'll just point out for the
18 record that Kim Stegmaier presented a plenary talk
19 at the most recent ANR meeting earlier this month
20 showing very nice preclinical data for EZH2
21 inhibition in neuroblastoma. I would encourage the
22 sponsor to consider neuroblastoma as a potential

1 indication.

2 DR. PAPPO: Thank you. Dr. Warren?

3 DR. WARREN: I think there's interest in
4 pursuing this for CNS tumors, but given the poor
5 CNS penetration, I think we should investigate
6 alternate methods of delivery to the CNS.

7 DR. PAPPO: Thank you? Any
8 additional -- yes, Dr. Brown?

9 DR. BROWN: Pat Brown. Just to comment on a
10 non-Hodgkin's lymphoma, since that's specifically
11 listed, I think it's very smart not to try to
12 address that with the first studies.

13 I think there may be very rare pediatric
14 patients that have EZH2 mutant non-Hodgkin's
15 lymphoma, but it's going to be extremely rare. I
16 think down the line, that may be a subset that can
17 be included in small efficacy studies, but I think
18 it's appropriate not to include that in the
19 earliest studies.

20 DR. PAPPO: Thank you. Any additional
21 questions or suggestions?

22 (No response.)

1 DR. PAPPO: I'm going to try to sum this up.
2 There appears to be some promising preclinical data
3 using EZH2 inhibitors in neuroblastoma, so we
4 encourage you to consider this subset of patients
5 for inclusion in your trial.

6 Also, given the potentially low penetration
7 of this agent into the CNS, consider alternative
8 methods for delivery of this drug in patients with
9 CNS tumors.

10 Finally, down the line, try to include small
11 efficacy studies on some patients that have EZH2
12 mutations, but at this stage, not including NHL is
13 a good idea. Anything else?

14 (No response.)

15 DR. PAPPO: We will now move to the second
16 question.

17 DR. BARONE: Please comment on trial design
18 considered to be adequate and well controlled in
19 order to demonstrate efficacy and safety in this
20 pediatric population given the rarity of the
21 disease.

22 DR. PAPPO: Thank you very much. If there

1 are no questions or comments concerning the wording
2 or the question, we will now open the question for
3 discussion.

4 There were a few comments on trial design,
5 so if anybody wants to mention something.

6 Julia?

7 DR. GLADE BENDER: I think the current trial
8 design, given the rarity of disease, again, if we
9 see significant activity, would be pretty
10 compelling evidence.

11 I wanted to suggest that the rhabdoid tumors
12 that present in very early childhood are very
13 aggressive, and they are faced with a prognosis
14 that you put up which is less than 20 percent.
15 They progress rapidly.

16 The ones that we generally can cure are the
17 ones that are resectable. I would suggest that
18 another way for you to get your tumor penetration
19 data is to allow a very short window of drug in
20 newly-diagnosed patients and then have them go to
21 resection.

22 I think we would learn a lot that way, and

1 the one could decide whether or not the drug should
2 be continued after that.

3 DR. PAPPO: Thank you very much. I just
4 wanted to ask Dr. Pazdur to introduce himself.

5 MR. PAZDUR: Richard Pazdur, Office of
6 Hematology and Oncology Products.

7 DR. PAPPO: Thank you very much.

8 Any additional comments regarding this
9 question? Yes?

10 DR. WEIGEL: I think just to echo what's
11 been said, we would encourage dose optimization and
12 escalation, as it sounds like you're planning, on a
13 current amendment and encourage inclusion
14 potentially of infants to really optimize use of
15 the drug in the target populations.

16 DR. PAPPO: Thank you. Dr. Adamson?

17 DR. ADAMSON: Again, I think in a very rare
18 disease, if there's a robust single-agent response
19 rate, that would be welcome news and, I think,
20 would likely meet the goals of bringing effective
21 therapy to an unmet need.

22 To echo some of the comments, when it comes

1 to CNS, I would hate to dismiss this agent because
2 we didn't get to an exposure that's associated with
3 activity.

4 My concern right now is that we're taking a
5 sluggish approach to dose escalation. If there's
6 safety data going up to 1600 BID, we're a far way
7 away from that.

8 CNS penetration -- and I agree, Peter, with
9 your statement earlier, this is not an intact
10 blood-brain barrier, but nonetheless, I think we
11 may have to push the dose in the CNS cohort before
12 I would be confident that we're not seeing a signal
13 there.

14 I wouldn't necessarily dismiss this agent in
15 20 patients at a generalized solid tumor dose if we
16 haven't really pushed the dose in that subset of
17 children.

18 DR. PAPP0: Thank you. Dr. Reaman?

19 DR. REAMAN: I had the same concern about
20 the dose and the CNS penetration. When you were
21 mentioning before that it doesn't appear that this
22 crosses an intact blood-brain barrier, were there

1 dose effects? With higher dose, would you expect
2 to see more of this drug reach the brain through an
3 intact or not intact blood-brain barrier?

4 DR. HO: [Inaudible - off mic] -- regard as
5 yet. The preclinical studies that were done were
6 at a single dose, typical biodistribution.

7 DR. REAMAN: A relatively low dose, the
8 single?

9 DR. HO: It was a modest dose, but certainly
10 that's something that we can continue looking at.

11 DR. PAPPO: Thank you very much.

12 Dr. MacDonald?

13 DR. MacDONALD: This may be piling on. In
14 that infant ATRT population, in terms of giving
15 drug exposure, then resection, followed by a better
16 understanding of the CNS penetration, we have
17 patients who, when given the options of treatment,
18 have declined all treatment. There's definitely a
19 patient population out there that could undergo
20 that.

21 DR. PAPPO: Thank you. Any additional
22 questions?

1 DR. ARMSTRONG: I'm not sure if it's
2 conveniently in this question, but we actually
3 haven't brought up the issue of your biomarker,
4 what you're using, and how well that's established.
5 I just would say it may fit it in here as well,
6 which is to make sure that if you're really looking
7 at these negative tumors, that you've done a good
8 job of correlating if it's IHC with other measures
9 of the INI1 in the tumors or the lack of INI1.

10 DR. PAPPO: Thank you for that comment. Any
11 comments about that?

12 DR. GLADE BENDER: Just to echo what
13 everybody said about dose escalation, if your
14 dose-limiting toxicity was grade 4
15 thrombocytopenia, I'm not even sure it would meet
16 our definition of a dose-limiting toxicity.

17 Therefore, I really think that escalating
18 beyond that dose is reasonable. We manage
19 thrombocytopenia all the time, and I promise you,
20 any other therapy that they might get other than
21 this would certainly cause grade 4
22 thrombocytopenia.

1 DR. PAPPO: Thank you very much. Any
2 addition comments or suggestions?

3 (No response.)

4 DR. PAPPO: A recurring theme has been to
5 try to optimize exposure and to reconsider the dose
6 escalation. Apparently, you start relatively low;
7 also, to encourage dose optimization and inclusion
8 of infants to optimize your clinical findings in
9 phase 1 studies.

10 In addition to that, there has been a
11 recurring theme of the concern of CNS penetration
12 of this drug, and it is unclear whether increasing
13 the dose will overcome the problems that we'd have
14 with the blood-brain barrier.

15 Perhaps an alternative design of a study
16 would be to give the drug initially, potentially
17 resectable tumor, and then obtain tissue and try to
18 measure drug. That could also serve as a surrogate
19 for a biomarker and identification to be sure that
20 you're doing what you're doing with the
21 trimethylation of K327.

22 I believe that's about it. I don't know if

1 you had any other comments or suggestions.

2 (No response.)

3 DR. PAPP0: We will move now question
4 number 3.

5 DR. BARONE: Please consider the necessity
6 for an international collaborative study given the
7 very rare cancers for which this drug might prove
8 relevant.

9 DR. PAPP0: If there are no questions or
10 comments concerning the wording or the question, we
11 will now open the question for discussion.

12 Dr. Adamson?

13 DR. ADAMSON: Again, I would commend the
14 company for already embarking on an international
15 approach for this disease.

16 Certainly, we would all view a positive
17 phase 2 will be extremely welcomed, but we'd know
18 that would be the beginning of the drug development
19 plan. And as we were to move into especially MRT,
20 where cytotoxic therapy I think has a relatively
21 established role to -- at least some extent, it
22 will require international collaboration as we move

1 this into -- should it be a positive phase 2 if we
2 were to move this into front line. In this rare
3 population, I anticipate international
4 collaboration is going to be required, and I think
5 would be welcome.

6 DR. PAPPO: I agree with you, and I think
7 that some of the statistics that were provided in
8 the background of the protocol are a little bit
9 overinflated. I don't know if they were from CDER
10 or what.

11 You were quoting about 450 patients. That
12 sounds like a little bit too much. I don't know if
13 it was just related to the rhabdoid tumors or it
14 was all INI1-negative tumors. For rhabdoids, if
15 you look at brain subtissue and kidney, it would be
16 probably not more than a 100 and 150 patients a
17 year. I think it will be necessary to do an
18 internationally collaborative study in specific
19 subsets of patients if this drug appears to be very
20 promising.

21 Dr. Reaman, did you have -- or anybody else
22 have a --

1 DR. REAMAN: We would certainly encourage
2 the international collaboration. I think it's
3 definitely the way to go after a promising phase 2
4 study.

5 DR. PAPPO: To wrap this up, you need to be
6 commended for already starting an international
7 collaborative trial on the phase 1 study. If there
8 appears to be a signal of activity in a specific
9 subset, it will be necessary to conduct an
10 international study to answer the question of
11 activity. Anything else?

12 (No response.)

13 DR. PAPPO: We will now move to question
14 number 4.

15 DR. BARONE: Please comment on any safety
16 concerns relating to the use of tazemetostat in
17 pediatric patients. In addition, please comment on
18 combining safety data across multiple mutation
19 types.

20 DR. PAPPO: If there are no questions or
21 comments concerning the wording or the question, we
22 will now open the question for discussion.

1 Dr. Brown?

2 DR. BROWN: Pat Brown. I would just think
3 that trying to differentiate where possible safety
4 patterns in patients with germline versus acquired
5 would probably be the most relevant.

6 I don't think within acquired mutations,
7 safety patterns across multiple mutation types are
8 likely to differ, but certainly germline versus
9 acquired could differ substantially and should be
10 addressed to the extent possible.

11 DR. PAPPO: Thank you. Yes, Julie?

12 DR. GLADE BENDER: Julia Glade Bender,
13 again.

14 To build on Pat Brown's comment, I wonder,
15 given that strange sporadic myelosuppression that
16 you see, whether there's something in the germline,
17 a host factor, that might explain that as well.

18 My comments earlier regarding toxicity, I
19 think if you're treating very young patients, and
20 you're expecting to treat them long-term, because
21 we don't know if you can stop a small molecule
22 inhibitor of the pathway that it would be important

1 to build in growth development and endocrine
2 outcomes early on just to be able to have that data
3 which will be very important in the future, just a
4 few assessments.

5 Finally, I would say that given that it's a
6 very rare population, I would limit the inclusion-
7 exclusion criteria to things that are really vital
8 because we've all had patients whose QTc is one or
9 two points above the cutoff or a little bit below
10 on the ejection fraction.

11 We would love to be able to enroll those
12 patients. There isn't really a signal for
13 cardiotoxicity. I might remove that from the
14 inclusion-exclusion criteria.

15 DR. PAPPO: Excellent point. Any additional
16 questions or comments? Yes, Dr. Warren?

17 DR. WARREN: This is a minor comment, but I
18 think some attention should be paid to see if
19 there's any difference in safety or tolerability in
20 patients who are on steroids and those who are not.
21 I'm not sure it necessitates the different cohort,
22 but at least some attention.

1 DR. PAPPO: Thank you.

2 Any addition comments or questions? Yes?

3 DR. NEVILLE: Just to hammer the dose
4 optimization, I think you won't be able to tell
5 everything about safety until you get to the
6 appropriate dose.

7 DR. PAPPO: Thank you. Any additional
8 comments?

9 (No response.)

10 DR. PAPPO: We believe that it will be
11 important to identify the safety patterns in
12 patients that have a germline mutation versus those
13 who do not have a germline mutation; also take into
14 consideration host factors in young patients; and,
15 try to incorporate into your studies, after you
16 identify the dose in phase 1, patients that include
17 long-term follow-up of growth and endocrine
18 development.

19 Also, try to limit your inclusion-exclusion
20 criteria for minor things such as the QTc; and,
21 finally, to identify toxicities in patients that
22 are on steroids and those who are not receiving

1 steroids. Anything else?

2 (No response.)

3 DR. PAPPO: Question number 5.

4 DR. BARONE: Please comment on the adequacy
5 of the current pediatric formulation and any future
6 plans.

7 DR. PAPPO: If there are no questions or
8 comments concerning the wording or the question, we
9 will now open the question for discussion.

10 Dr. Adamson?

11 DR. ADAMSON: Again, I commend the company
12 for developing a liquid formulation that could
13 begin these studies. I don't know about the
14 solubility of this drug, but it invariably is a
15 challenge.

16 I think with efficacy, I would imagine there
17 will have to be further development before a
18 commercialization could take place, but I don't
19 know that for a fact.

20 What I did note, I think at least one of the
21 studies, you did a bioavailability study with an
22 intravenous formulation, unless I'm not remembering

1 that correctly, or was that just an animal study?

2 DR. HO: Animal.

3 DR. ADAMSON: Just animal, okay. It gets
4 back to one of the questions that I did have
5 earlier. As you began to see a plateau in the
6 pharmacodynamic effect, have you seen saturation in
7 bioavailability?

8 It sounded like it was linear up to 1600,
9 but I don't know if there are preclinical concerns
10 about that. If there were, that might impact how
11 one approaches this dosing-wise and schedule-wise,
12 if it's, in fact, saturable.

13 DR. HO: Right. In the adult experience, we
14 had linear PK through the 1600 milligram. We
15 certainly didn't see any plateauing or saturability
16 there.

17 DR. PAPPO: Dr. Brown?

18 DR. BROWN: One question. I apologize if
19 you addressed it. It was about nasogastric or
20 gastrostomy tube feeding. Has the drug been tested
21 as to whether it can be used in that setting?

22 DR. HO: It has.

1 DR. BROWN: It has. Good.

2 DR. PAPPO: Any additional questions or
3 comments?

4 (No response.)

5 DR. PAPPO: There were not a whole lot of
6 issues regarding this question. Again, the company
7 needs to be commended for developing a liquid
8 formulation. And if this moves forward, there has
9 to be further development of this oral formulation.

10 There was a question about saturation and
11 bioavailability, and, apparently, this has been
12 addressed in the adult study up to a dose of
13 1600 BID.

14 The question about NG and G tube feedings
15 has also been answered by the company.

16 Dr. Reaman?

17 DR. REAMAN: Less sugar. Less sugar.

18 **Adjournment**

19 DR. PAPPO: That's right. Yes, less sugar.
20 Sorry.

21 I think we're done with the questions. At
22 this time, I'm good. I'm not skipping anybody.

1 We will now break for lunch. It will be in
2 Room 1504. We will reconvene in this room at 1:20.

3 Panel members, please remember there should
4 be no discussion of the meeting topic during lunch
5 amongst yourselves or with any other members of the
6 audience. Thank you very much.

7 (Whereupon, at 11:08 a.m., the morning
8 session was adjourned.)

9
10
11
12
13
14
15
16
17
18
19
20
21
22