

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE PEDIATRIC SUBCOMMITTEE OF THE  
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Afternoon Session

Tuesday, June 28, 2016

1:20 p.m. to 2:44 p.m.

FDA White Oak Campus  
10903 New Hampshire Avenue  
Building 31 Conference Center  
The Great Room (Rm. 1503)  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 Lauren D. Tesh, PharmD, BCPS

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7  
8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Deborah K. Armstrong, MD**

10 Professor of Oncology

11 The Sidney Kimmel Comprehensive Cancer Center at

12 Johns Hopkins

13 The Johns Hopkins University School of Medicine

14 Baltimore, Maryland

15  
16 **Alberto S. Pappo, MD**

17 *(Chairperson, pedsODAC)*

18 Member and Head, Division of Solid Malignancies

19 St Jude Children's Research Hospital

20 Professor of Pediatrics

21 University of Tennessee Health Science Center

22 Memphis, Tennessee

1       **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Non-**  
2       **Voting)**

3       **Phuong Khanh (P.K.) Morrow, MD, FACP**

4       *(Industry Representative)*

5       Executive Medical Director, Amgen Oncology

6       Therapeutic Area Head, US Medical Organization

7       Thousand Oaks, California

8  
9       **TEMPORARY MEMBERS (Voting)**

10      **Peter C. Adamson, MD**

11      *(Day 1 Only)*

12      Chair, Children's Oncology Group

13      Alan R. Cohen Endowed Chair in Pediatrics

14      The Children's Hospital of Philadelphia

15      Philadelphia, Pennsylvania

16  
17      **Patrick Brown, MD**

18      Director, Pediatric Leukemia Program

19      Associate Professor of Oncology and Pediatrics

20      Sidney Kimmel Comprehensive Cancer Center

21      Johns Hopkins University School of Medicine

22      Baltimore, Maryland

1     **Steven G. DuBois, MD, MS**

2     Director, Experimental Therapeutics

3     Dana-Farber/Boston Children's Hospital

4     Faculty of Pediatrics, Harvard Medical School

5     Boston, Massachusetts

6  
7     **Ira J. Dunkel, MD**

8     Member Memorial Sloan-Kettering Cancer Center

9     Professor of Pediatrics

10    Weill Cornell Medical College Department of

11    Pediatrics

12    New York, New York

13  
14    **Julia Glade Bender, MD**

15    Associate Professor of Pediatrics at Columbia

16    University Medical Center

17    Associate Director, Division of Pediatric

18    Hematology, Oncology and Stem Cell

19    Transplantation

20    Medical Director, Developmental Therapeutics and

21    Precision Medicine Programs

22    New York, New York

1     **Pamela Haylock**

2     *(Acting Consumer Representative)*

3     Medina, Texas

4

5     **Tobey J. MacDonald, MD**

6     Aflac Chair for Pediatric Neuro-Oncology

7     Professor of Pediatrics

8     Emory University School of Medicine

9     Director, Pediatric Neuro-Oncology Program

10    Aflac Cancer & Blood Disorders Center

11    Children's Healthcare of Atlanta

12    Atlanta, Georgia

13

14    **Gigi McMillan**

15    *(Patient Representative)*

16    Manhattan Beach, California

17

18

19

20

21

22

1     **Kathleen A. Neville, MD, MS**

2     Director, Experimental Therapeutics Program

3     Professor of Pediatrics, University of Arkansas for

4     Medical Sciences

5     Section of Clinical Pharmacology and Toxicology

6     Arkansas Children's Hospital

7     Little Rock, Arkansas

8

9     **Elizabeth A. Raetz, MD**

10    Professor of Pediatrics

11    Pediatric Hematology/Oncology

12    University of Utah

13    Huntsman Cancer Institute

14    Primary Children's Hospital

15    Salt Lake City, Utah

16

17

18

19

20

21

22

1     **Nita L. Seibel, MD**

2     Head, Pediatric Solid Tumor Therapeutics  
3     Clinical Investigations Branch, CTEP/Division of  
4     Cancer Treatment and Diagnosis  
5     National Cancer Institute, NIH  
6     Adjunct Professor of Pediatrics  
7     George Washington University School of  
8     Medicine and Health Sciences  
9     Bethesda, Maryland

10

11     **Katherine E. Warren, MD**

12     Head, Pediatric Neuro-Oncology  
13     Pediatric Oncology Branch  
14     National Cancer Institute, NIH  
15     Bethesda, Maryland

16

17

18

19

20

21

22

1     **Brenda Weigel, MD, MSc**

2     Associate Professor

3     Developmental Therapeutics Chair

4     Children's Oncology Group

5     Division Director, Pediatric Hematology/Oncology

6     University of Minnesota

7     Minneapolis, Minnesota

8

9     **FDA PARTICIPANTS (Non-Voting)**

10    **Gregory Reaman, MD**

11    Associate Director for Oncology Sciences

12    OHOP, OND, CDER, FDA

13

14    **Martha Donoghue, MD**

15    *(Afternoon Session, Day 1 Only)*

16    Acting Associate Director

17    Division of Oncology Products (DOP) II

18    OHOP, OND, CDER, FDA

19

20

21

22



1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	<b>Topic 3: Atezolizumab - Roche/Genentech</b>	
4	Conflict of Interest Statement	
5	Lauren Tesh, PharmD, BCPS	10
6	<b>Industry Presentation - Roche/Genentech</b>	
7	Atezolizumab Oncology Development	
8	Raphael Rousseau, MD, PhD	16
9	Clarifying Questions from Subcommittee	35
10	Questions to the Subcommittee and Discussion	73
11	Adjournment	89
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(1:20 p.m.)

DR. PAPPO: Good afternoon. Before we start, I would like to ask Dr. Donoghue to introduce herself.

DR. DONOGHUE: I'm Martha Donoghue with the Division of Oncology Products II.

DR. PAPPO: Thank you. We will now proceed with topic 3, atezolizumab from Roche/Genentech. Dr. Lauren Tesh will read the conflict of interest statement for this session.

**Conflict of Interest Statement**

DR. TESH: The Food and Drug Administration is convening today's meeting of the Pediatric Subcommittee of the Oncologic Drugs Advisory Committee under the authority of the Federal Advisory Committee Act of 1972.

With the exception of the industry representative, all members and temporary voting members of the committee are special government employees or regular federal employees from other agencies and are subject to federal conflict of

1 interest laws and regulations.

2 The following information on the status of  
3 this committee's compliance with the federal ethics  
4 and conflict of interest laws covered by, but not  
5 limited to, those found at 18 U.S.C. Section 208 is  
6 being provided to participants in today's meeting  
7 and to the public.

8 FDA has determined that members and  
9 temporary voting members of this committee are in  
10 compliance with the federal ethics and conflict of  
11 interest laws.

12 Under 18 U.S.C. Section 208, Congress has  
13 authorized FDA to grant waivers to special  
14 government employees and regular federal employees  
15 who have potential financial conflicts when it is  
16 determined that the agency's need for a special  
17 government employee's service outweighs his or her  
18 potential financial conflict of interest or when  
19 the interest of the regular federal employee is not  
20 so substantial as to be deemed likely to affect the  
21 integrity of the services which the government may  
22 expect from the employee.

1           Related to the discussion of today's  
2 meeting, members and temporary voting members of  
3 this committee have been screened for potential  
4 financial conflicts of interest of their own, as  
5 well as those imputed to them, including those of  
6 their spouses or minor children and, for the  
7 purposes of 18 U.S.C. Section 208, their employers.

8           These interests may include investments,  
9 consulting, expert witness testimony, contracts,  
10 grants, CRADAs, teaching, speaking, writing,  
11 patents and royalties, and primary employment.

12           This session's agenda involves information  
13 to gauge investigator interest in exploring  
14 potential pediatric development plans for five  
15 chemical entities in various stages of development  
16 for adult cancer indications.

17           The subcommittee will consider and discuss  
18 issues concerning diseases to be studied, patient  
19 populations to be included, and possible study  
20 designs in the development of these products for  
21 pediatric use.

22           The discussion will also provide information

1 to the agency pertinent to the formulation of  
2 written requests for pediatric studies, if  
3 appropriate. The product under consideration for  
4 this session is atezolizumab, presentation by  
5 Roche/Genentech.

6 This is a particular matters meeting during  
7 which specific matters related to Roche/Genentech's  
8 product will be discussed. Based on the agenda for  
9 today's meeting and all financial interests  
10 reported by the committee members and temporary  
11 voting members, conflict of interest waivers have  
12 been issued in accordance with 18 U.S.C. Section  
13 208(b)(3) to Drs. DuBois, Neville, and Dunkel.

14 Dr. DuBois' waiver involves his employer's  
15 current study of atezolizumab funded by Roche,  
16 which is anticipated to be between \$50,000 and  
17 \$100,000 per year in funding.

18 Dr. Neville's waiver involves her employer's  
19 current study of atezolizumab funded by Roche,  
20 which is expected to be between zero and \$50,000  
21 per year in funding.

22 Dr. Dunkel's waiver involves his consulting

1 agreement with a potentially affected firm in which  
2 he receives between zero and \$5,000 per year.

3 The waivers allow these individuals to  
4 participate fully in today's deliberations. FDA's  
5 reasons for issuing the waivers are described in  
6 the waiver documents, which are posted on the FDA's  
7 website.

8 Copies of the waivers may also be obtained  
9 by submitting a written request to the agency's  
10 Freedom of Information Division at 5630 Fishers  
11 Lane, Room 1035, Rockville, Maryland, 20857 or a  
12 request may be sent via fax to 301-827-9267.

13 To ensure transparency, we encourage all  
14 standing members and temporary voting members to  
15 disclose any public statements that they may have  
16 made concerning the product at issue.

17 With respect to FDA's invited industry  
18 representative, we would like to disclose that  
19 Dr. P.K. Morrow is participating in this meeting as  
20 a non-voting industry representative acting on  
21 behalf of regulated industry.

22 Dr. Morrow's role at this meeting is to

1 represent industry in general and not any  
2 particular company. Dr. Morrow is employed by  
3 Amgen.

4 We would like to remind members and  
5 temporary voting members that if the discussions  
6 involve any other products or firms not already on  
7 the agenda for which an FDA participant has a  
8 personal or imputed financial interest, the  
9 participants need to exclude themselves from such  
10 involvement and their exclusion will be noted for  
11 the record.

12 FDA encourages all other participants to  
13 advise the committee of any financial relationships  
14 that they may have with the firm at issue. Thank  
15 you.

16 DR. PAPP0: Thank you very much. Both the  
17 Food and Drug Administration and the public believe  
18 in a transparent process for information-gathering  
19 and decision-making. To ensure such transparency  
20 at the advisory committee meeting, FDA believes  
21 that it is important to understand the context of  
22 an individual's presentation.

1           For this reason, FDA encourages all  
2 participants, including the sponsor's nonemployee  
3 presenters, to advise the committee of any  
4 financial relationships that they may have with the  
5 firm at issue, such as consulting fees, travel  
6 expenses, honoraria, and interests in the sponsor,  
7 including equity interests and those based upon the  
8 outcome of the meeting.

9           Likewise, FDA encourages you, at the  
10 beginning of your presentation, to advise the  
11 committee if you do not have any such financial  
12 relationships.

13           If you choose not to address this issue of  
14 financial relationships at the beginning of your  
15 presentation, it will not preclude you from  
16 speaking.

17           We will now proceed to the sponsor's  
18 presentation.

19           **Industry Presentation - Raphael Rousseau**

20           DR. ROUSSEAU: Thank you very much. Ladies  
21 and gentlemen, this is my great pleasure, on behalf  
22 of my team, pediatric development oncology team at



1 Roche/Genentech, to discuss with you today  
2 atezolizumab oncology development in pediatrics.

3 This is particularly important for us as  
4 we're trying to develop a comprehensive pediatric  
5 drug development program that goes beyond  
6 atezolizumab. It is important for us today to be  
7 able to share that with you.

8 I will start my presentation by introducing  
9 my team. I'll cover cancer immunotherapy and the  
10 differences between adult and pediatrics. I will  
11 review some aspects of our atezolizumab program in  
12 adults, its mechanism of action, and its adult  
13 development.

14 I'll show you some key differences that we  
15 perceive in the development of atezolizumab in  
16 children and adults. Then I'll spend some time  
17 detailing our ongoing phase 1 trial in children  
18 with multiple different tumors.

19 Then I'll share with you some aspect of the  
20 next steps that we would like to move on further on  
21 after we develop that drug in the phase 1 space.

22 This is our team at Genentech and Roche.

1 I'm pretty proud of having gathered a number of  
2 pediatric experts. I think this is unique in  
3 industry to have such a drug development team  
4 thoroughly dedicated to the development of new  
5 compounds for children with cancer.

6 We really look at a vision that goes beyond  
7 the regulatory obligation, looks at the mechanism  
8 of action of our compounds, and tries to address  
9 the unmet medical needs across the different tumor  
10 types affecting children, especially rare ones.

11 We have several goals, and I'd like to point  
12 out the one that I think is closest to my heart, as  
13 a pediatric oncologist. It's been frustrating in  
14 academia not having access early on to those  
15 drugs -- is to provide early access as early as we  
16 can. As a matter of fact, the program that we'll  
17 develop today has been started in children before  
18 we get approval in adults.

19 Many of you are aware of the recent success  
20 of cancer immunotherapy. A number of landmark  
21 publications have now reported very compelling  
22 results in the adult space.

1           The concept is really to activate the  
2 patient's own immune system to reject its own tumor  
3 cells. A number of steps are necessary for this to  
4 occur. It starts at the level of cancer cells that  
5 need to release cancer cell antigens, if they  
6 exist.

7           Those antigens are then presented to the  
8 immune system. There's a step of priming and  
9 activation of the immune cells so that they can  
10 then traffic and go back to the tumor, hopefully  
11 recognize cancer cells, and destroy them.

12           As you're aware, novel treatment modalities  
13 have been developed in the immunotherapy space.  
14 One of them is immune checkpoint inhibitors, and  
15 atezolizumab, that I'll often refer as atezo, is  
16 acting at the end of this activation cycle, helping  
17 T-cells to recognize cancer cells and hopefully  
18 destroying them.

19           How does that work? Atezolizumab is a  
20 humanized monoclonal antibody that inhibits the  
21 binding between PD-L1 and its receptors, PD-1 B7.1.

22           PD-L1 is expressed on a number of tumor

1 cells, but also on some immune cells. It prevents  
2 activation of the immune system in recognizing  
3 tumor cells and destroying them.

4 The hypothesis that's now been confirmed in  
5 many adult tumor types is that by blocking PD-L1,  
6 we can restore this T-cell recognition of tumor  
7 cells and generate priming of those T-cells so that  
8 they ultimately recognize and kill those tumor  
9 cells.

10 Of note, atezolizumab also leaves the  
11 PD-L2/PD-1 interaction alone, which can have some  
12 interesting features on maintaining immune  
13 homeostasis.

14 The compound has now been tested in more  
15 than 5,000 patients, adult patients across a number  
16 of clinical trials as of February 2016. The safety  
17 profile is quite acceptable across tumor types.

18 Most of the adverse events are grade 1-2.  
19 They are immune-related events, and you can see a  
20 number of them listed here. They are manageable by  
21 withdrawing atezolizumab or using supportive care.

22 The safety profile appears similar across

1 tumor types, suggesting that there's independence  
2 from the level of PD-L1 expression. There's no  
3 apparent dose-related trend on the incidence of  
4 these adverse events.

5 Now, we have a pretty robust clinical  
6 program in place in adults. More than 50 clinical  
7 trials are ongoing. We've seen a number of  
8 positive signals.

9 We have three pivotal trials ongoing, one in  
10 melanoma, another one in renal cell carcinoma, and  
11 one in triple-negative breast cancer.

12 The FDA has granted atezolizumab with a  
13 breakthrough therapy designation for a metastatic  
14 urothelial cancer, which led to approval of  
15 atezolizumab under the name of T-Centric as of  
16 May of this year.

17 We've submitted the biologic license  
18 application last February for the treatment of  
19 adult patients with non-small cell lung cancer, and  
20 there, as well, the FDA granted a breakthrough  
21 therapy designation.

22 Looking at some of the data for this

1 non-small cell lung cancer application, here is the  
2 Kaplan-Meier curve of the POPLAR phase 2 randomized  
3 trial in previously treated adult patients with  
4 non-small cell lung cancer.

5           You can see from the hazard ratio that the  
6 patients treated with atezolizumab do derive  
7 significant clinical benefit, hazard ratio of 0.69,  
8 which translates into a three-month benefit, which  
9 is clinically significant, as well as statistically  
10 significant.

11           Looking deeper into the data from that  
12 POPLAR study, I'd like to point out some  
13 interesting features regarding PD-L1 expression  
14 level.

15           On the right-hand side of this slide, you  
16 see the threshold of PD-L1 level that we're using  
17 to determine the expression of tumor cells, but  
18 also on immune cells infiltrating the tumor.

19           What you can see on the left-hand side is  
20 that across the different subgroups of PD-L1  
21 expression on tumor cells or immune infiltrating  
22 cells, you see hazard ratios that are clearly

1 showing that there is a positive effect of  
2 atezolizumab on those patients.

3 I think quite importantly to note is the  
4 fact that even in patients who do not express PD-L1  
5 on their tumor cells or either immune cells, the  
6 TC-0 and IC-0 that you see at the bottom of the  
7 plot, there's still a clinical benefit that is at  
8 least equivalent to docetaxel, which is an approved  
9 chemotherapy in that setting with a known safety  
10 profile.

11 I think this is very encouraging to us to  
12 have this all-comer approach because if we didn't  
13 have such an all-comer approach, we would've missed  
14 this clinical benefit. I think in light of what we  
15 want to do in children, I think it is important to  
16 note this effect also occurring on PD-L1-negative  
17 patients.

18 Moving on, in designing our clinical program  
19 in pediatrics, I'd like to point out a number of  
20 differences between adult and pediatric cancers,  
21 which we think are important to consider in the  
22 design of the study.

1           First, you probably heard about mutational  
2 load as being an important characteristic or  
3 predictive marker for clinical responses in a  
4 number of adult tumor types, including melanoma and  
5 non-small cell lung cancer.

6           Here, you can see on this graph, the  
7 frequency of mutation in different tumor types. On  
8 the right-hand side of the graph, you see mainly  
9 adult tumors. Boxed in red are more pediatric  
10 tumor types.

11           You can see that the frequency appears to be  
12 lower in children. There is a hypothesis that  
13 maybe with a lower mutational load, there may be  
14 less expression of neoantigens, in turn, capable of  
15 generating the primary immune response.

16           We feel that this is a hypothesis that we  
17 have to explore in a proper clinical setting,  
18 because we know that from certain adult tumor  
19 types, such as renal cell carcinoma, which doesn't  
20 have a very high mutational load, we still see some  
21 very important immune responses.

22           Secondly, it may not be only around the



1 quantity of neoantigens, but also the quality of  
2 neoantigens. Despite the fact that pediatric  
3 tumors may harbor less mutation than their adult  
4 counterparts, it is an important hypothesis that  
5 needs to be tested in a phase 1 setting and  
6 collecting information, biological and clinical  
7 information, to confirm or inform that fact.

8 The second thing that I wanted to point out,  
9 and this has been shown in a number preclinical  
10 series in pediatrics by us or others, PD-L1  
11 expression on tumor cells is very different between  
12 adult and pediatric tumors.

13 The left image shows you the brown staining  
14 PD-L1 marking on tumor cells of a colon cancer  
15 biopsy in an adult patient, which is notoriously  
16 different than the pediatric rhabdomyosarcoma slide  
17 that you see on the right.

18 This is a known fact. PD-L1 expression is  
19 apparently lower in children. As we've seen from  
20 the clinical outcome of our non-small cell lung  
21 cancer trial, some patients with negative PD-L1  
22 expression do present with a clinical benefit.

1           We don't think this should be a hindrance to  
2 proceed with a well-designed phase 1 trial looking  
3 at clinical outcome, as well as biomarker outcome  
4 for this pediatric population.

5           Finally, I'd like to point out one very  
6 important feature is the presence of resident  
7 T-cells at baseline in those tumors. As you can  
8 see here on the left-hand side, this is the CD8  
9 marking, the brown staining shows T-cell  
10 infiltration both in colon cancer, this adult tumor  
11 type, and rhabdomyosarcoma.

12           The level of infiltration may be slightly  
13 lower in pediatric tumors, but we do see this tumor  
14 infiltrate in the biopsies that we've obtained from  
15 a large series preclinically and prior to our  
16 clinical trial.

17           We feel that this is encouraging. T-cells  
18 are there. The PD-L1 expression may not be as high  
19 or may be absent, but we do see some benefit in  
20 adult patients even if they have PD-L1 negative  
21 tumors.

22           With this mind, we decided to conduct a

1 broad spectrum biomarker trial looking not only at  
2 PD-L1 expression and CD8 T-cell infiltrate, but  
3 also a number of biomarkers that we can further  
4 discuss after my presentation in an otherwise  
5 unselected pediatric population so that we really  
6 ensure that we don't prematurely exclude any  
7 children who could potentially benefit.

8 We're talking here children with high unmet  
9 medical need, not only because they have rare  
10 tumors, but because they are relapsed or refractory  
11 with no other treatment option.

12 The idea is really through this clinical  
13 trial to collect robust data, including biomarker,  
14 to really optimize our biomarker assessment and  
15 further refine the inclusion criteria when we move  
16 forward in phase 2 and beyond.

17 Those biomarker findings are critical, and  
18 there's not enough preclinical data that we can  
19 really use now to really determine what is the best  
20 biomarker. That was a question raised by the FDA,  
21 and we can further discuss that after my  
22 presentation.

1           We feel that this all-comer approach, with a  
2 robust biomarker program, is really the best way  
3 forward.

4           This is the ongoing phase 1/phase 2 clinical  
5 trial that we've started as part of our iMATRIX  
6 platform that we'll describe later on. This is a  
7 single-arm study designed to evaluate the safety,  
8 tolerability, pharmacokinetics, immunogenicity, and  
9 preliminary efficacy across a number of tumor types  
10 in children, adolescents, and young adults.

11           I think you'll agree with me that young  
12 adults usually don't have much therapeutic options,  
13 and so we've decided to raise the age of accrual up  
14 to 30 years so that adults with relapsed-refractory  
15 pediatric type tumors can participate in the trial.  
16 Those patients have no other therapeutic options.

17           As I mentioned, PD-L1 expression is not  
18 required, but there is a mandatory biopsy at study  
19 entry or access to archival tissue so that we can  
20 assess the biomarker signature.

21           Atezolizumab is administered intravenously  
22 every three weeks while experiencing clinical

1 benefit. The dose for children below the age of  
2 18 years is 15 milligrams per kilogram, and this is  
3 based on model and simulation of the totality of  
4 our phase 1 adult program looking to match exposure  
5 observed in adults.

6 Above 18 years of age, the dose is the dose  
7 that is now approved in adults of 1200 milligrams.  
8 The primary endpoint beyond PK and safety are  
9 overall response rate and progression-free  
10 survival. The secondary efficacy endpoints are the  
11 duration of response and overall survival.

12 This is a gated study design, again, as part  
13 of our iMATRIX phase 1/phase 2 platform. Really,  
14 the intent is to limit the number of patients  
15 exposed across the different tumor types so that we  
16 really expand into cohort expansion if we see a  
17 number of pre-established responses.

18 This is based on historical controls that  
19 have been discussed with our colleagues from the  
20 academic consortium participating to the study so  
21 ITCC in Europe and POETIC in the United States.

22 There's a first phase of PK and safety

1 assessment in a minimum of 20 patients for early PK  
2 and safety evaluation. Then we evaluate response  
3 for approximately 10 patients per tumor type. Then  
4 the decision to continue to enroll is really based  
5 on whether or not enough patients, two to three,  
6 generally, depending on the tumor types, have  
7 presented with an objective response.

8 There's the retrospective biomarker  
9 analysis, which ultimately should help us to decide  
10 whether or not to enrich certain cohorts based on  
11 the biomarker signature.

12 This is the status of the trial. We started  
13 enrolling in November of last year. We, as of  
14 June, had 67 patients enrolled in more than 8 tumor  
15 types. As of yesterday, we have 73 patients  
16 enrolled, median age of 14 years, age ranging from  
17 2 to 29 years.

18 An indefinite data monitoring committee has  
19 allowed us to now enroll children below the age of  
20 2, but we haven't yet enrolled such patients. They  
21 are very rare in the relapsed setting.

22 You'll see on the right bottom hand side of

1 the slide that a number of tumor types, including  
2 very rare ones, have been enrolled on that study,  
3 which I think is quite remarkable considering the  
4 rarity of those tumors.

5 The iDMC has also looked at the first  
6 20 patients in terms of safety and PK and has given  
7 the green light to continue at the same dose  
8 without any modification to the trial design.

9 Where do we want to go next? We realize  
10 that atezo is just one potential step to activate  
11 the immune system. There are a number of other  
12 therapeutic modalities that could be very helpful  
13 in combination with atezo to activate the immune  
14 system and propagate that immune response.

15 A number of them are listed here, ranging  
16 from conventional chemotherapy, radiation therapy,  
17 or some of the compounds in our own portfolio.

18 We heard this morning about two very  
19 interesting compounds that could be also combined  
20 with atezo. There are a number of options. We're  
21 not yet at a point where we can decide which  
22 combination is going to be the most effective in

1 children. As you know, immunotherapy is very  
2 difficult to modelize in preclinical models.

3 We'll use the totality of the data. We'll  
4 follow the science using data coming from the adult  
5 combination trials and any evidence that we can  
6 find in the literature to combine those therapeutic  
7 modalities in a very selective manner in children  
8 with high unmet medical need.

9 I'd like to point out, though, that we don't  
10 have the luxury, as our adult colleagues, to test  
11 so many options in those rare patient population.  
12 So we'll really need a mechanism by which we can  
13 prioritize the best options for those children.

14 With this in mind, I'd like to spend a  
15 little bit of time on our iMATRIX trial concept,  
16 which we think can help prioritize in a rapid  
17 manner the best single agent and hopefully the best  
18 combination.

19 To many of you, this is nothing new. This  
20 is what we've been doing in academia for many  
21 years. What we bring with that concept, I think,  
22 is a layer of regulatory science that we hope can



1 help accelerate the implementation of this platform  
2 across many study sites, and we currently have more  
3 40 sites in Europe and the United States, and bring  
4 drug in and out very quickly using a master trial  
5 concept that is now being discussed with the  
6 European Medicines Agency and the FDA.

7 We hope that with this rigorous concept,  
8 looking at pre-established response rate, discuss  
9 with academic experts and regulatory authorities,  
10 we'll be able to move forward very quickly in the  
11 pediatric space, focusing really on pediatric  
12 tumors as opposed to looking at an adult tumor and  
13 its potential equivalent in children. We hope that  
14 this approach -- and we've now shown it with  
15 atezolizumab with 73 patients in less than  
16 6 months.

17 We've started another arm using our  
18 cobimetinib, a MEK inhibitor compound. We've  
19 recruited now one patient in the United States.  
20 Some of the other compounds that I've shown you on  
21 the previous slide, once have passed phase 1 and  
22 early phase 2 in adults, could come on that

1 platform as well.

2 This is also a concept that we would like to  
3 share with other sponsors, that we all use the same  
4 gates in all the same response rates, so that we  
5 can have the same conversation on how to prioritize  
6 those different compounds moving forward.

7 The key takeaway for today's presentation,  
8 atezolizumab is a humanized monoclonal antibody  
9 that has shown some quite interesting responses,  
10 both from a clinical efficacy standpoint and safety  
11 standpoint in many adult tumors.

12 It is now registered for administration in  
13 patients with metastatic urothelial cancer. It is  
14 well tolerated, and we've started a voluntary  
15 pediatric program that is now approved as a PIP in  
16 Europe as part of our iMATRIX platform, with the  
17 intent of really matching those promising molecules  
18 to pediatric patients with rare and high unmet  
19 medical needs.

20 We have a rigorous and consistent PK  
21 evaluation. Efficacy gates have been defined and  
22 approved by health authorities. We have a

1 comprehensive biomarker evaluation program, a  
2 strong collaboration with our academy colleagues.

3 We hope to come back to you in the near  
4 future, probably by the end of the year, with  
5 efficacy and safety results on that program in  
6 order to decide what are the next steps that we  
7 should envision, either as single-agent or in  
8 combination.

9 With this, I hope that I have reassured you  
10 that despite all the frustration that we have as  
11 pediatric oncologists of not getting those drugs  
12 early enough, we are, I think, going the extra mile  
13 to make this available to the community through  
14 this iMATRIX program.

15 With my colleagues, experts in pediatrics or  
16 in the atezo adult program, we look forward to your  
17 questions. Thank you very much.

18 **Clarifying Questions from Subcommittee**

19 DR. PAPP0: Thank you very much. There are  
20 no OPH speakers, and therefore, we will now take  
21 clarifying questions for the sponsor.

22 Please remember to state your name for the

1 record before you speak. If you can, please direct  
2 your questions to a specific presenter. Steve?

3 DR. DuBOIS: Steve DuBois, Dana-Farber.  
4 Thank you for that presentation.

5 I wondered if you might share what's known  
6 about the benefit of PD-L1 inhibition versus PD-1  
7 inhibition. You touched on it briefly in your  
8 presentation, but I'm wondering if there  
9 are -- really what's done about that preclinically  
10 or even clinically.

11 DR. ROUSSEAU: As I mentioned to you,  
12 initially, our assumption that by sparing the PD-L2  
13 pathway, we may maintain -- sorry.

14 Can you project that slide, please?

15 We may spare the PD-L2 pathway and thus  
16 maintain a homeostasis and reduce autoimmunity.  
17 For what we know from the current adult trials  
18 testing both PD-1 and PD-L1, we haven't seen yet  
19 that difference in the clinical setting.

20 DR. PAPPO: Thank you. Ira?

21 DR. DUNKEL: Ira Dunkel, Memorial Sloan  
22 Kettering. Raphael, I had a question about the

1 design of the pediatric trial. If I understand  
2 correctly, there was a phase 1 and phase 2  
3 component. To me, it seems admirable that you  
4 elected to include young adults up to 30 with  
5 pediatric tumors in the phase 2 component.

6 But it seems like -- there's obvious  
7 rationale for why you'd include young adults in the  
8 phase 1 component when you already had phase 1 data  
9 from adult trials.

10 Why didn't the phase 1 study restrict itself  
11 to under 18 or maybe even pre-adolescence?

12 DR. ROUSSEAU: Yes. We really wanted to  
13 give an opportunity for young adults to participate  
14 to the trial early on. Now, we had provision in  
15 the clinical trial, in the protocol, that if too  
16 many adults were participating in the phase 1, we  
17 would limit the study entry to favor younger  
18 patients.

19 That didn't need to occur. We were able to  
20 accrue data from younger patients. But you're  
21 right, this could have been an issue, but we had  
22 planned for that.

1 DR. PAPPO: Thank you. Dr. Weigel?

2 DR. WEIGEL: Raphael, thank you very much.

3 I have a few questions. We're targeting with PD-L1  
4 the tumor side rather than the PD-1, the immune  
5 side. There is a little bit of data known about  
6 PD-L1 expression on pediatric tumors, but not a  
7 lot.

8 There's a lot of heterogeneity. You have  
9 some data to suggest that response may be slightly  
10 correlated with expression of PD-L1. Can you speak  
11 to, with the antibody and a dose, the saturable  
12 relationship between the amount of expression of  
13 PD-L1 on the tumor cells and the amount of  
14 saturability and dose-targeting in dose-finding  
15 that was done to optimize response?

16 DR. ROUSSEAU: Yes. I'll ask Dr. Cathrine  
17 Leonowens, our clinical pharmacologist, to answer  
18 your question.

19 DR. LEONOWENS: Hello. My name is Cathrine  
20 Leonowens. I'm the clinical pharmacologist on the  
21 pediatric atezolizumab study.

22 As we heard from Dr. Rousseau, the pediatric

1 expression of PD-L1 is different than in the adult  
2 population. Further, we weren't really sure how  
3 atezo would behave with respect to pediatric  
4 tumors.

5 The only way that we could bridge the dose  
6 was based on exposure, by matching the exposure in  
7 pediatric patients to exposure that we had observed  
8 in adult patients and exposure at which we had seen  
9 responses in adults.

10 What we did, when we were developing  
11 atezolizumab in adult oncology patients, we  
12 developed a pharmacokinetic model, and we used that  
13 model and allometric scaling based on body weight  
14 to test out a few different doses. We arrived at a  
15 15-milligram per kilogram dose, which was a good  
16 balance between safety and a reasonable expectation  
17 that we would match adult exposures.

18 We've been gaining PK data, and we have some  
19 that does confirm that the 15-milligram per  
20 kilogram dose is reaching exposures in pediatric  
21 patients that match those that we've seen in  
22 adults.

1           The other important thing to note is that  
2 these concentrations that we're observing are also  
3 well above saturation, and so we know that we're  
4 achieving adequate exposure in the pediatric  
5 population.

6           DR. WEIGEL: Thank you. A follow-up on  
7 that, as we know from other studies using  
8 antibodies in children that small children tend to  
9 potentially have a higher clearance of the antibody  
10 and may require higher dosing.

11           Are you looking at that at all in your  
12 assessment and doing any sub-analyses to ensure  
13 that the younger, smaller patients are actually  
14 meeting the same exposures?

15           DR. LEONOWENS: Yes, we are. The initial  
16 dose is 15 milligrams per kilogram, but there are  
17 provisions in the protocol by which we're analyzing  
18 the data in as real time as possible. We are  
19 assessing the PK data as the patients complete  
20 their cycle 1.

21           There are provisions in the protocol that  
22 allow for a dose modifying if we see that the



1 younger, lower-weight patients aren't matching that  
2 exposure.

3 That said, once the study has completed and  
4 once we continue to enroll patients in the second  
5 phase of the study, we do expect to collect  
6 sufficient data in younger patients to adequately  
7 characterize the PK.

8 We will be doing sub-analyses based on body  
9 weight, among other disease covariates and  
10 population covariates to really understand how the  
11 drug is behaving in pediatric patients.

12 DR. PAPPO: Thank you. Dr. Adamson?

13 DR. ADAMSON: A couple of protocol,  
14 logistic, technical questions, and then a comment  
15 on timing.

16 When is this trial negative? I think you  
17 listed about eight histologies there, and your  
18 histologies are diagnostic. But histology is a  
19 two-stage. You have to pass stage 1.

20 Is there a point in time where none of them  
21 pass, or how many of them have to stop before you  
22 say this is not an effective active agent in this

1 way in this disease?

2 DR. ROUSSEAU: The protocol states that we  
3 need to have at least two cohorts of 10 patients so  
4 that we have given enough chances even to rare  
5 tumors to reach the gate 2 where we can assess  
6 response.

7 We are almost there and across many  
8 different tumor types. Some have enrolled already  
9 the 10 patients, others haven't. It's too early to  
10 say, because as you probably know, immunotherapy  
11 takes time to deliver some potential responses.

12 We looked at six-month responses, and so we  
13 haven't reached that point yet. We should reach it  
14 by Q3 of this year. It's too early to say across  
15 some of the tumor types that have enrolled quicker  
16 than others.

17 DR. ADAMSON: Is there an a priori? If we  
18 fail in X histologies, we're done?

19 DR. ROUSSEAU: No. The concept here is not  
20 only to look at the response with single-agent and  
21 those heavily pre-treated patients; we look at the  
22 totality of the data. We look at the biomarker,

1 and we'll come back and discuss with you where it  
2 makes sense to eventually continue in combination,  
3 not a single-agent, but in combination, even if we  
4 don't see any responses in some tumor types.

5 Again, this is not yet the point where we  
6 can discuss those results.

7 DR. ADAMSON: The logistics of this, was  
8 this part of the master protocol for iMATRIX? If  
9 so, how is that handled? Is that a stand-alone  
10 sub-protocol or was this one a stand-alone protocol  
11 following the design?

12 DR. ROUSSEAU: This is a stand-alone  
13 protocol as it is now. We had discussed with a  
14 number of advisors as to whether or not we should  
15 first have the master trial discussion with health  
16 authorities, and then put the atezo program and the  
17 cobimetinib program on the master, or whether we  
18 should start that separately.

19 We've decided to the latter, start atezo,  
20 start cobimetinib and then use them as examples for the  
21 discussion on the master trial. The master trial  
22 discussions are currently being discussed with EMA

1 and FDA, but we started as stand-alone for atezo  
2 and cobimetinib.

3 DR. ADAMSON: The last one is a comment.  
4 The Roche/Genentech team, I think, certainly, based  
5 on my knowledge, is one of the more advanced  
6 pediatric dedicated teams across the industry and  
7 is certainly to be commended for that work.

8 With that said, I think this drug -- and  
9 it's not alone. There's a long list. It  
10 highlights some of the limitations that we're  
11 having with the regulatory requirements and  
12 incentives as far as getting therapies, high  
13 priority treatments early into clinical trial, be  
14 it at the EMA, with PIPs, or the BPCA. As Greg  
15 said, PREA doesn't apply.

16 The number that struck me was over  
17 5000 adults and 70 children. That's not early  
18 access. That's pretty much what we do.

19 Drugs get approved. Thousands of adults get  
20 enrolled. Clearly, an important new  
21 modality -- let me be very clear. This is not  
22 Roche/Genentech. This is the landscape.

1 I don't think we solved the early access  
2 problem. I think we now have more dedicated  
3 approaches to when we enter pediatric development,  
4 how do it, when do it. But 5000 adults,  
5 70 children is not early.

6 DR. PAPP0: Thank you. Dr. Warren?

7 DR. WARREN: Kathy Warren from NCI. I  
8 presume that PD-1, PD-L1, and PD-L2 in a tumor are  
9 not static, but get change over time and with  
10 treatments. But yet in your biomarker assessment,  
11 which is retrospective, I presume you're going to  
12 be using archived tumor tissue, which may be one or  
13 two treatments prior to when you're actually  
14 treating the patient.

15 What conclusions can you draw from doing  
16 that biomarker analysis?

17 DR. ROUSSEAU: We do have a provision in the  
18 protocol to have sequential biopsy on a voluntary  
19 basis. I'll ask Dr. Priti Hegde, our biomarker  
20 lead, to give you more details about the biomarker  
21 program in pediatrics.

22 DR. HEGDE: I'm Priti Hegde, and I lead the

1 global biomarker program for cancer immunotherapy  
2 in pediatrics.

3           What we're trying to do with the pediatric  
4 program is really try and learn from our adult  
5 program. I'll give you an example of a phase 2  
6 study that we ran in lung cancer where we took  
7 archival tumors and fresh pre-dosed biopsies in  
8 second-line lung cancer patients. These are  
9 patients who have gone through frontline standard  
10 of care therapy.

11           The idea behind doing that was to really  
12 understand how variable is PD-L1 expression, as  
13 well as CD8 positive T-cell infiltration in  
14 patients both in archival tissues, as well as in  
15 fresh pre-dosed biopsies.

16           What we've observed is that, in general, the  
17 prevalence is fairly consistent between archival  
18 tissue and fresh pre-dosed. Generally, you do see  
19 an acute rise in T-cell infiltrates, as well as  
20 PD-L1 expression in T-cell infiltrates when you  
21 give standard of care chemotherapy.

22           That lasts for a certain period of time, but

1 when patients progress, their PD-L1 status tends to  
2 come back down to baseline. We've seen about a  
3 75 percent concordance between archival tissue and  
4 fresh pre-dosed.

5 With that experience, we think that we can  
6 learn quite a bit just by looking at archival  
7 tissues from the pediatric cases as well. Now,  
8 having said that, we do have a nonclinical study  
9 that we're now looking at, where we are trying to  
10 get tissues from multiple sites from patients to  
11 understand the variability of PD-L1 expression.

12 Maybe what I'll do is I'll just give you a  
13 quick example on slide 33, if I can get to  
14 slide 33.

15 Here is just one example of three biopsies  
16 from a single patient on the pediatric study. What  
17 you're seeing on the top panel, biopsy 1, biopsy 2,  
18 and biopsy 3 are all three different pretreatment  
19 biopsies looking at PD-L1 expression, as well as  
20 CD8 expression.

21 You can see that it's fairly consistent from  
22 biopsy to biopsy in this one single case. This is

1 consistent with what we've seen in adult cases as  
2 well.

3 With this particular patient on treatment,  
4 we do see an increase in CD8 positive T-cells in  
5 the responding lesions, and those are biopsies 4  
6 and biopsies 5.

7 The bottom panel there for CD8, the brown  
8 dots reflect CD8 positive T-cells, and the  
9 enumeration is at the bottom, the blue squares.

10 The one nonresponding lesion in this tumor  
11 here had very little change in CD8 positive T-cell  
12 infiltrates. In general, we're now starting to  
13 generate more and more data from our pediatric  
14 populations to really understand how variable is  
15 this expression, both in archival tissues, as well  
16 as on treatment with atezolizumab.

17 DR. WARREN: Can I ask a follow-up? Does it  
18 correlate with peripheral lymphocyte counts at all  
19 or any peripheral immune markers?

20 DR. HEGDE: Unfortunately, so far,  
21 peripheral biomarkers haven't really been very  
22 informative for us in terms of providing



1 information on response to therapy.

2 We have identified pharmacodynamic  
3 biomarkers in the periphery, but not markers  
4 associated with clinical benefit.

5 DR. PAPPO: Thank you. I had a couple of  
6 questions. First of all, I wanted to thank you for  
7 not stratifying patients according to PD-L1  
8 expression. A lot of these patients might respond  
9 regardless of the PD-L1 expression.

10 A couple of questions. Why were brain tumor  
11 patients excluded? You have a potential population  
12 of patients with mish-mash repair that could  
13 potentially benefit from this. Was there a  
14 specific rationale?

15 DR. ROUSSEAU: When we started this clinical  
16 program, we were concerned about two things.  
17 First, usually the exposure to steroids for these  
18 patients, and so at that time, we felt that this  
19 would be a hindrance to the effect of atezolizumab.  
20 This may not be ultimately the case.

21 Second, we were concerned about safety  
22 issues. You probably heard about the concept

1 pseudoprogession, which especially for  
2 infratentorial tumors could generate some pretty  
3 bad safety effects when a tumor is growing before  
4 it shrinks.

5 We decided initially to not include brain  
6 tumors, but we're in discussion now with study  
7 groups to consider inclusion of such patients with  
8 supratentorial tumors.

9 The patient that you just saw actually was a  
10 patient with ASPS and brain metastasis. We're  
11 starting to accrue some information about safety  
12 for intracranial tumors.

13 I think pontine tumors will remain an  
14 exclusion. We're looking into potentially amending  
15 the protocol or in a subsequent protocol consider  
16 supratentorial tumors.

17 DR. PAPP0: Another question is, how many  
18 types of tumors are you going to evaluate. Is  
19 there a pre-specified number of histologies or any  
20 solid tumor that initially can -- I guess you've  
21 got your first 20 patients already, right? Gate 1?  
22 You must be in gate 2 right now with no specific

1 subsets.

2 DR. ROUSSEAU: We haven't reached gate 2  
3 yet. We have at least eight different tumor types,  
4 and there is no limit.

5 We had initially restricted to known or  
6 expected PD-L1 expression as a requirement from  
7 health authorities in Europe, but we also have  
8 provision in the protocol to consider other PD-L1  
9 positive tumors, if they happen to be PD-L1-  
10 positive, or a cohort of PD-L1-negative patients  
11 who could be discussed between the investigator and  
12 the medical monitor. There's no restriction,  
13 per se.

14 DR. PAPPO: For my own clarification, what  
15 is the difference between the gate 2 and 3  
16 development, and the molecule 2 and molecule 3, is  
17 it the same thing?

18 DR. ROUSSEAU: Can I get maybe the trial  
19 design, the iMATRIX trial design slide, please?  
20 Yes, this one.

21 This is just a schematic to show that we're  
22 treating -- we are offering access at the same

1 sites to different molecules, currently two, so  
2 molecule 1, molecule 2. That's currently  
3 atezolizumab and cobimetinib. That's to answer  
4 your question about the different arms of the  
5 study.

6           Within each arm, the number of pediatric  
7 tumor types depends on the underlying biology of  
8 the tumor. For atezolizumab, we decided to have an  
9 all-comer approach, but depending on the  
10 pre-existing knowledge about a biomarker, we may  
11 restrict on a particular pathway depending on the  
12 compound. That's how the iMATRIX trial will work.

13           The gate, if I could get, please, the  
14 schematic on the gated approach. The first gate is  
15 PK and safety. Looking at 20 patients, at least 20  
16 patients across tumor types, but then looking into  
17 cohorts of 10 patients per tumor type and really  
18 using the gate 2 as a predefined response rate  
19 assessment by which we will decide or not to expand  
20 into a cohort expansion.

21           Gate 3 is an additional set of response  
22 assessments that will define if we have reached our

1 phase 2 objective and if that warrants further  
2 evaluation through efficacy confirmation. We're  
3 currently before gate 2.

4 DR. PAPPO: I assume that you're using  
5 immune-related response criteria to assess response  
6 in these patients, or is it more a standard  
7 approach to --

8 DR. ROUSSEAU: No. We're using a standard  
9 approach, depending on each tumor type. So  
10 neuroblastoma includes MIBG, catecholamines, the  
11 usual response assessment for pediatric tumors, we  
12 have as exploratory endpoints and assessment based  
13 on the immune response, but not as primary  
14 endpoint.

15 DR. PAPPO: A final question. With a  
16 relatively crowded field of checkpoint inhibitors,  
17 how do you see this further developing in  
18 pediatrics? You have nivo, pembro, and you have  
19 evolumab.

20 DR. ROUSSEAU: This is exactly what we would  
21 like to avoid, is a crowded field. We've  
22 experienced that with BRAF inhibitors and would

1 really appreciate the support from our academy  
2 colleagues and help from health authorities in  
3 defining priorities.

4 I think, as you mentioned, we have several  
5 compounds of relatively the same class, and it  
6 would be great to be able to sit down at some point  
7 with the different sponsors and decide where to go  
8 in order to win rather than compete.

9 I think this is really a precompetitive  
10 space. As industry sponsors and particularly for  
11 our pediatric team, we need to do what's right for  
12 the kids.

13 This is, again, really a precompetitive  
14 area, and I think we should be able to sit down and  
15 look at the data together.

16 DR. PAPP0: Thank you very much.

17 Dr. Dunkel?

18 DR. DUNKEL: To follow up maybe on a couple  
19 of questions that Alberto just asked. Regarding  
20 the last thing you said, Raphael, about the similar  
21 agents, I thought that Genentech believes that an  
22 anti-PD-L1 agent was going to have a lower risk of

1 autoimmunity versus an anti-PD-1 agent.

2 Is that correct, and are the data bearing  
3 that out?

4 A second question was the question about the  
5 brain tumor. I thought that your answer was going  
6 to be that because the antibody needs to reach the  
7 tumor, if it's anti-PD-L1 agent versus an anti-PD-1  
8 agent, that you were less optimistic that your  
9 agent would be effective for brain tumors, because  
10 it's an antibody that would have to reach the tumor  
11 cells, while nivolumab or pembrolizumab may be  
12 acting peripherally and then the cells are  
13 migrating to the brain tumor. I guess those are my  
14 two questions.

15 DR. ROUSSEAU: Regarding the safety profile  
16 of PD-L1 and PD-1 monoclonal antibodies, looking at  
17 the clinical data and safety data coming out from  
18 the adult studies, it doesn't seem, at this point,  
19 that we've seen a major difference in the safety  
20 profile of those compounds. That's for your first  
21 question.

22 For the second one, the mechanism of action

1 may eventually suggest that there is such a  
2 difference, but we've had some interesting  
3 surprises in some of our adult studies.

4 I think really the idea is to follow the  
5 science. We've seen in that particular patient  
6 with ASPS that we're not -- the drug may not cross  
7 the blood-brain barrier. As a matter of fact, it  
8 has.

9 I think it's important to test this in an  
10 adequate clinical trial setting. We may have some  
11 assumptions from the mechanism of action, the known  
12 mechanism of action of the drug.

13 Again, the immune system is something very  
14 dynamic, and so it's worth testing, under the  
15 appropriate safety concerns, supratentorial tumors,  
16 especially in children.

17 DR. DUNKEL: I'm sorry. Just a quick  
18 follow-up. I want to make sure I understood what  
19 you said correctly. Did you say that the data does  
20 not demonstrate decreased autoimmunity with an  
21 anti-PD-L1 versus anti-PD-1 agent?

22 DR. ROUSSEAU: Dr. Sandler, did you want



1 give some more information about the safety profile  
2 that you have observed in your adult studies?

3 DR. SANDLER: Hi. I'm Alan Sandler, a  
4 medical oncologist and clinical lead for the lung  
5 cancer atezolizumab program.

6 I can't specifically address your question  
7 with respect to head-to-head comparisons, of  
8 course, but when you look at the data as it exists  
9 today, looking at various toxicities that are known  
10 to be immune-mediated, they seem to be relatively  
11 similar in relatively similar patient populations,  
12 again, given the caveats of cross-trial comparison.  
13 Have I addressed that?

14 DR. PAPPO: Thank you. Dr. Armstrong?

15 DR. ARMSTRONG: In a pediatric trial with  
16 CTLA-4 blockade, they noted that the immune adverse  
17 effects seem to come on quickly like after the  
18 first infusion. I wanted to know if, so far,  
19 you've seen more rapid onset, different forms, and  
20 potentially the most concerning would be less  
21 reversibility of the immune adverse events in the  
22 pediatric population.

1 DR. ROUSSEAU: At this point in time, the  
2 safety profile in children seems to be quite  
3 similar to the adult one. We haven't seen  
4 immediate side effects that would be different in  
5 frequency than the adult ones, at least for PD-L1.

6 I can't speak for CTLA-4 and comparing, but  
7 at least in children, we haven't seen any safety  
8 signal of concern so far.

9 DR. PAPP0: Thank you. Dr. Reaman?

10 DR. REAMAN: Did I understand correctly that  
11 the difference with atezolizumab is that it spares  
12 the PD-L2 access? Does that sparing play any  
13 potential role in its efficacy in an antitumor  
14 setting?

15 DR. ROUSSEAU: This is the expected  
16 mechanism of action and the reason why we designed  
17 this monoclonal antibody to spare the PD-L2  
18 pathway.

19 Again, at this stage, we haven't seen any  
20 difference in terms of safety profile with this  
21 particular characteristic.

22 DR. REAMAN: But in so sparing, is there any

1 concern that it's going to be less effective in  
2 comparison to other PD-L1 inhibitors which may also  
3 disrupt the PD-L2/PD-1 pathway access?

4 DR. ROUSSEAU: Again, with the caveat of not  
5 being able to perform cross-trial comparison, it  
6 seems that the efficacy of PD-L1 monoclonal  
7 antibody is quite remarkable and effective. We're  
8 not seeing anything that would suggest the  
9 contrary.

10 DR. REAMAN: Just another question, you  
11 mentioned that this is immunotherapy, so the  
12 responses are going to be slower, different. You  
13 did mention that you're using routine response  
14 criteria, but routine for each specific tumor, at  
15 what time, that's standardized within the gates  
16 that you've created; is that correct?

17 DR. ROUSSEAU: Dr. Karski, our medical  
18 monitor, pediatric oncologist on the team, will  
19 give you more details about those assessments.

20 DR. REAMAN: Thanks.

21 DR. KARSKI: I'm Erin Karski. I'm the  
22 medical monitor for the pediatric atezolizumab

1 study.

2 In response to your question about what type  
3 of monitoring are we using, for solid tumors, we're  
4 using RECIST criteria. For neuroblastoma, we're  
5 using INRC. We have Hodgkin's and non-Hodgkin's  
6 arms as well. And for those tumor types, we're  
7 using a lymphoma-specific tumor type based on a  
8 Cheson publication.

9 For our timing of response criteria, our  
10 first response assessment is done after 2 cycles,  
11 so that's 6 weeks. Then we continue assessments  
12 every 2 cycles so every 6 weeks.

13 DR. PAPPO: Thank you. Steve?

14 DR. DuBOIS: I had a general question about  
15 the iMATRIX and the philosophy. Just thinking, in  
16 clinical medicine, we weigh risk-benefit. Did I  
17 understand correctly that the threshold to move on  
18 to the second stage is the same regardless of the  
19 compound or potentially combination being  
20 evaluated? Wouldn't the risk weigh into what the  
21 minimum desired threshold of response would be or  
22 is it set across each agent?

1 DR. ROUSSEAU: At this point of time, the  
2 design of our gates is solely for single-agent  
3 assessment. I agree with you, once we go into  
4 combination, and that's the discussion we had this  
5 morning, we need to take that into account. For  
6 now, this is set assessments and set gates and  
7 criteria for each tumor type.

8 DR. PAPPO: Thank you. Dr. MacDonald?

9 DR. MacDONALD: Is the expectation that  
10 response would correlate more with relatively high  
11 PD-L1 or relatively low where you have less to  
12 overcome?

13 DR. ROUSSEAU: What we've seen in the adult  
14 studies, and I've shown you one of them, is that  
15 there is increased efficacy with the level of  
16 expression of PD-L1.

17 But we're asking that question specifically  
18 in children, so I cannot specifically answer that  
19 question for children. In adults, there is an  
20 additional benefit correlated to PD-L1 in some  
21 tumor types.

22 DR. MacDONALD: Just one follow-up, in

1 thinking about candidate combination therapies,  
2 immunotherapy-based, is there any idea that there's  
3 a shift for low PD-L1-expressing tumors that maybe  
4 they're higher in, let's say, the IDO pathway  
5 expression or some other mechanism?

6 DR. ROUSSEAU: Yes, so there are different  
7 hypotheses. As a pediatric immunologist, I would  
8 argue that the first element that we probably need  
9 to address is antigen presentation.

10 As I mentioned, PD-L1 expression may not be  
11 the most relevant biomarker at this stage. T-cell  
12 infiltrate may be also quite important. Being able  
13 to generate an immune response at the early stage  
14 of the immune activation cycle may be something of  
15 importance in children.

16 This is why we're really looking at our  
17 combination data with cobimetinib and atezolizumab,  
18 both to help destroy more tumor cells, but also to  
19 upregulate class 1 antigens and helps with this  
20 immune priming.

21 But chemotherapy, you may do that as well,  
22 or radiation therapy. It is yet too early to

1 decide which is going to be the best combination in  
2 children. Again, we'll look at the totality of the  
3 data, especially coming from the adult data from  
4 patients since it's very difficult to modelize, but  
5 it's too early to say.

6 DR. MacDONALD: Finally, in the biomarker  
7 study, are you planning to look at all aspects of  
8 immune check point system?

9 DR. ROUSSEAU: Dr. Hegde, did you want  
10 answer that question?

11 DR. HEGDE: Yes. I'll address two topics  
12 here. One is just going back to the PD-L2  
13 expression and association with efficacy to  
14 atezolizumab.

15 We have looked in our adult studies, and we  
16 have, in fact, seen a positive correlation between  
17 high PD-L2 expression and efficacy to atezolizumab.  
18 We don't think that PD-L2 expression is, in some  
19 way, going to be detrimental to efficacy to an  
20 anti-PD-L1 agent.

21 Getting back to your question, we do have a  
22 fairly extensive biomarker strategy in the

1 pediatric studies. We're looking at multiple  
2 immune cell subtypes by gene expression.

3 In our post-dose biopsies, we're also trying  
4 to understand in patients who don't respond, for  
5 example, to monotherapy atezolizumab in these  
6 indications, what are the mechanisms associated  
7 with loss or lack of response and using those data  
8 to help us determine the best, most rational  
9 combination strategies.

10 As Raphael pointed out, cobimetinib is a  
11 really good example which, in fact, increases  
12 T-cell infiltration and upregulates PD-L1  
13 expression. We do have agents in our portfolio  
14 that could dial up the expression of PD-L1 and  
15 hence, provide a good combination option and that's  
16 exactly what we're doing in our pediatric study.

17 DR. PAPP0: Thank you. Dr. Warren?

18 DR. WARREN: This is a somewhat related  
19 question, so you may want to stay up there.

20 (Laughter.)

21 DR. WARREN: It's a question regarding  
22 patient eligibility. Many of our patients are



1 heavily pretreated with cranial spinal radiation or  
2 transplant.

3 Do we know, is there any minimum immune  
4 function or arm of the immune system that needs to  
5 be intact or functioning in order for them to have  
6 a chance to respond to this compound?

7 DR. HEGDE: What we've learned so far is the  
8 presence of CD8 positive T-cells in the tumor  
9 microenvironment within the intraepithelial spaces  
10 of the tumor is really important to enable an  
11 effective anti-tumor immune response.

12 Agents that will allow us to do that  
13 effectively in tumors would be the ones that would  
14 make ideal partners for combinations, but also, as  
15 a minimum, that is what we think as really  
16 important for us to determine rather have an  
17 effective anti-tumor immune response.

18 DR. WARREN: I'm talking about as a single  
19 agent, is there a minimum -- patients who are on  
20 steroids but happen to be lymphopenic and got  
21 cranial spinal radiation, they would not be a good  
22 candidate, I would think, for this study.

1           Is there a minimum requirement for them to  
2           have a chance to respond?

3           DR. HEGDE: I don't think I can -- I don't  
4           have data to address this question at this moment.  
5           The minimum in the tumor that's required is the  
6           presence of T-cells.

7           DR. PAPP0: Thank you. Are there any  
8           additional questions for the presenters? Yes?

9           DR. DONOGHUE: Martha Donoghue, FDA. I was  
10          just wondering if you could comment on, based on  
11          your adult experience, what the incidence of  
12          pseudoprogession followed by prolonged disease  
13          stabilization or response has been in adults and  
14          whether you're able to draw any observations from  
15          the current pediatric study on how frequently this  
16          occurs?

17          DR. ROUSSEAU: Maybe Dr. Sandler will take  
18          the question on the adult pseudoprogession rate  
19          and duration of response. Then I can comment on  
20          the pediatric aspects.

21          DR. SANDLER: Specifically,  
22          pseudoprogession on the adult side, most of our

1 data is with lung, bladder, or some other solid  
2 tumors where pseudoprogression has not been seen to  
3 the same degree as seen in, say, melanoma, for  
4 example.

5 It has not been an issue in terms of  
6 changing absolute response rates. Response rates  
7 in the traditional RECIST criteria as compared to  
8 the immune-related RECIST criteria were quite  
9 similar in this setting.

10 We are looking further into that evaluation  
11 in terms of maybe there's an impact more subtle in  
12 terms of stable disease, as you mentioned, and  
13 even, dare I say, post-progression as well.

14 We're looking into that, don't have that  
15 data yet, but hopefully, we'd be able to present  
16 some of that data at some symposiums coming up.

17 DR. DONOGHUE: Thank you.

18 DR. ROUSSEAU: From a pediatric standpoint,  
19 we do see some patients with pseudoprogression.  
20 Again, this is too early to really make any comment  
21 on what the outcome will be.

22 One aspect though is -- and this is

1 something that we will have to discuss when we  
2 decide on the next steps or for future  
3 studies -- is that it seems that the more advanced  
4 the patients are in their disease and number of  
5 lines of treatments that they have, the least  
6 tolerance they have to pseudoprogression, advocates  
7 also for really carefully choosing patients for  
8 this type of therapies, which are quite new in the  
9 pediatric environment.

10 DR. PAPPO: Thank you. Any additional  
11 questions? Greg?

12 DR. REAMAN: I just have one question. It  
13 seems like we're sort of caught between a rock and  
14 a hard place as far as identifying the best  
15 patients for this type of therapy. Given that  
16 pediatric tumors have a low mutational burden,  
17 therefore, a few neoantigens, unless they are  
18 multiply-treated and release some of those  
19 antigens.

20 At the same time, their immune systems are  
21 compromised because of all the therapy they've  
22 received before this. How would you envision

1 ultimately developing this agent and when would it  
2 be, hypothetically, optimal to use it in frontline  
3 setting, as a relapse or salvage therapy.

4 Any thoughts?

5 DR. ROUSSEAU: All this is very premature to  
6 discuss until we see really the totality of the  
7 data of the phase 1. I would imagine, similarly to  
8 the discussion we had this morning, that quite  
9 rapidly we'd need to move in earlier lines of  
10 treatment in combination with the right backbones,  
11 chemotherapy or combining with other targeted  
12 agents.

13 Ultimately, my hope is that we'll be able to  
14 rapidly go into patients with lower lines of  
15 treatment to really show a clinical benefit. Yes,  
16 depending on what this first single-agent  
17 assessment will provide, hopefully by the end of  
18 this year, either we continue in single-agent if we  
19 see responses in some cohorts or rapidly move into  
20 combination.

21 DR. PAPP0: Thank you. Julia?

22 DR. GLADE BENDER: Julia Glade Bender. Hi,

1 Raphael. Thank you very much for your  
2 presentation.

3 You had mentioned that you're planning on  
4 doing standard biomarkers, but I think what we're  
5 all talking about, is there any thought to  
6 developing novel predictive biomarkers for which  
7 tumors might respond, something like an immune  
8 signature?

9 DR. ROUSSEAU: Dr. Hegde, did you want  
10 comment on the potential signatures?

11 DR. HEGDE: We do have a fairly extensive  
12 biomarker program for the pediatric program. We  
13 are working with Foundation Medicine, in fact, to  
14 develop an immune gene signature platform that,  
15 again, consists of gene signatures that represent  
16 distinct immune cell subsets.

17 We're also looking at common mutations  
18 within pediatric cancers and trying to incorporate  
19 the disease biology over and above the immune  
20 biology in patients.

21 We conduct whole exome sequencing on these  
22 patients to really understand even if they have

1 very few neoantigens, and are the neoantigens that  
2 are present immunogenic.

3 We've developed algorithms at Genentech  
4 within our bioinformatics organization to really  
5 help us understand how you define immunogenicity in  
6 these patients.

7 We also conduct whole exome RNA-seq in our  
8 phase 1 study to really help and understand what  
9 are the gene signatures that are associated with  
10 clinical benefit.

11 In our adult program, we've already seen  
12 that the presence of gamma interferon gene  
13 signature correlates very well with clinical  
14 benefit to overall survival in lung cancers, in  
15 bladder cancer as well. We're applying the same  
16 gene signature in the pediatric population as well.

17 We're trying to marry what we're learning in  
18 the adult indications and applying that to the peds  
19 as well.

20 DR. GLADE BENDER: Just as quick follow-up,  
21 could you perhaps, Raphael, explain how a  
22 predictive biomarker might be integrated into the

1 iMATRIX?

2 DR. ROUSSEAU: If we do find a biomarker  
3 signature that correlates with a clinical response,  
4 then the concept would be to enrich a cohort, that  
5 the cohort -- the specific tumor cohort using this  
6 signature.

7 The question is whether or not we should  
8 continue as a control to enroll some patients  
9 without that signature. Again, it's about  
10 following the science. I think if we do find a  
11 correlative signature, then we'll definitely  
12 enrich.

13 DR. PAPPO: Thank you. I had one additional  
14 question. Going back to the methods to evaluate  
15 response, you get a confirmation four weeks later  
16 if you demonstrate progressive disease to call it a  
17 progressive disease or it's just a one-time  
18 evaluation.

19 The reason why I'm asking is there's a  
20 recent paper by Jedd Wolchok in which they  
21 evaluated RECIST and the phenomenon of  
22 pseudoprogression of pembrolizumab. They claim



1 that you can underestimate the activity of  
2 pembrolizumab and that you can overcall progressive  
3 disease in up to 15 percent of patients by RECIST  
4 criteria, where only 5 percent of patients really  
5 develop pseudoprogression reducing the immune-  
6 related response criteria.

7 DR. ROUSSEAU: Yes. We do request  
8 confirmation of response. Does that answer your  
9 question?

10 DR. PAPPO: Yes. Any additional questions?  
11 (No response.)

12 DR. PAPPO: Thank you very much.

13 DR. ROUSSEAU: Thank you.

14 DR. PAPPO: I'm going to sound like I have  
15 echolalia.

16 (Laughter.)

17 **Questions to the Subcommittee and Discussion**

18 DR. PAPPO: I know I've already said this,  
19 but there are no OPH speakers. We will now proceed  
20 with the questions to the committee and panel  
21 discussions. I would like to remind public  
22 observers that while this meeting is open for

1 public observation, public attendees may not  
2 participate except at the specific request of the  
3 panel. We will start with the first question.

4 DR. DONOGHUE: Please discuss the relative  
5 expression of tumor neoantigens in specific  
6 pediatric cancers in comparison to that in adult  
7 tumors and the resulting biological rationale for  
8 evaluating atezolizumab in pediatric patients.

9 DR. PAPPO: If there are no questions or  
10 comments concerning the wording or the question, we  
11 will now open the question for discussion. Brenda?

12 DR. WEIGEL: I would encourage the inclusion  
13 of assessing this in your study, which I think  
14 you're doing. I think we don't know. I think  
15 there's tremendous heterogeneity, and I think we  
16 don't understand right now what the expression of  
17 PD-L1 means on pediatric tumors and what the actual  
18 expression is.

19 I encourage the thoughtful collection of the  
20 data and analysis of the data, as well as robust  
21 biomarker development so that we can actually  
22 learn, which I would encourage you to continue to

1 do.

2 DR. PAPP0: Thank you. Yes, Steve?

3 DR. DuBOIS: Likewise, in terms of the tumor  
4 neoantigens, I think that's also a real gap in our  
5 knowledge. I think we understand the differences  
6 in tumor mutational burden between pediatric and  
7 adult malignancies, but whether that translates  
8 into differences in tumor neoantigens, I think, is,  
9 in my view, an open question.

10 DR. PAPP0: Any additional comments or  
11 questions regarding this question?

12 DR. ARMSTRONG: I would just echo that. I  
13 think we were very surprised in endometrial cancer  
14 to find that one microsatellite instability leads  
15 to the hundreds, if not thousands of neoantigens.

16 One mutation can lead to lots of neoantigens  
17 and so mutational burdens in neoantigens aren't  
18 always a straight-line correlation. I think this  
19 probably has been understudied in a lot of tumors  
20 in adults, but probably even more so in pediatric  
21 tumors so that trying to actually look at that is  
22 worthwhile.

1 DR. PAPPO: Any additional observations or  
2 questions?

3 (No response.)

4 DR. PAPPO: Based on what we said, I think  
5 that this drug would offer a unique opportunity to  
6 better define the mutational load of these tumors,  
7 try to correlate mutational burden with neoantigen  
8 expression, and also better clarify the role of  
9 PD-1 expression in pediatric tumors. Any additions  
10 to that?

11 (No response.)

12 DR. PAPPO: We will now proceed to question  
13 number 2.

14 DR. DONOGHUE: Please consider which  
15 specific pediatric cancers might be ideal  
16 candidates for evaluation of atezolizumab based  
17 upon available nonclinical and clinical data for  
18 this class of drugs and the current needs of the  
19 pediatric oncology community. Please comment  
20 regarding whether level of PD-L1 expression should  
21 be considered when selecting tumor types for future  
22 pediatric studies of atezolizumab.

1 DR. PAPPO: Thank you very much. If there  
2 are no questions or comments concerning the wording  
3 or the question, we will now open the question for  
4 discussion.

5 I will start by saying that I think it's a  
6 great idea that you're not using PD-1 expression to  
7 stratify patients. A lot of other studies are  
8 doing that.

9 DR. ARMSTRONG: I would agree. I think that  
10 it's been a little bit disappointing in terms of  
11 what we've seen in the adult population that  
12 there's not always direct correlation.

13 I would say this is an exploratory endpoint  
14 that you can look at afterward when you see  
15 responses, but I would certainly not use these as  
16 criteria for eligibility at this point in time. I  
17 don't think we have enough data to narrow the focus  
18 of who we're treating yet.

19 DR. PAPPO: Julie?

20 DR. GLADE BENDER: In answer to this  
21 question, this is precisely what I think is  
22 important. We don't have a predictive biomarker,

1 and really any work that can be done to help us  
2 figure out which pediatric tumors would benefit  
3 from this type of therapy would be greatly  
4 appreciated.

5 DR. PAPPO: Yes, Brenda?

6 DR. WEIGEL: I would also encourage  
7 thoughtful consideration of sites of tumors,  
8 especially CNS tumors, for the assessment of these  
9 agents. It's a very special site, and we have to  
10 be very careful not exclude those patients, but  
11 thoughtfully consider ways of including them in the  
12 assessment of this type of a drug, and also  
13 consider, I think, some of the points that have  
14 been brought up as baseline immune status,  
15 lymphocyte counts, as well as tumor burden with  
16 also sites of disease, things like effusions, et  
17 cetera and really thoughtfully consider how that  
18 may impact the assessment of the drug.

19 DR. PAPPO: Thank you. Any additional  
20 comments or questions -- yes?

21 DR. MacDONALD: I would also encourage,  
22 because of the lack of correlation with expression

1 response, that alternative mechanisms of immune  
2 check point evasion are looked into, whether  
3 internally or collaboration like IDO pathway or  
4 something of that nature.

5 DR. PAPP0: Any other comments?

6 (No response.)

7 DR. PAPP0: Again, this trial would offer a  
8 unique opportunity to better define which are  
9 really the tumors that could benefit from this  
10 therapy that gives the overall answers that we  
11 really do not know.

12 It also offers the opportunity to better  
13 define potential predictive biomarkers for  
14 pediatric tumors and better identify which tumors  
15 would benefit from this therapy.

16 We also believe that other endpoints should  
17 include evaluation of the immune status of patients  
18 that go on trial, including tumor burden and  
19 absolute lymphocyte count.

20 This drug also would offer an opportunity to  
21 better define the mechanisms of evasion to activity  
22 of PD-1 inhibitors.

1 I think everybody agrees that it's a very  
2 good idea not to stratify patients by PD-1  
3 expression in this trial.

4 Anything else? Yes, Dr. Reaman?

5 DR. REAMAN: I think in addition to just  
6 looking at absolute lymphocyte count, we hear the  
7 concern about the steroid use and whether that  
8 impacts. But are there adult data to suggest that  
9 that really does happen?

10 I think looking at other immunosuppressive  
11 therapies, extent of cranial spinal radiation, or  
12 radiation of the pelvis, use of steroids, and use  
13 of other immunomodulatory drugs that might impact  
14 the mechanism of action should be evaluated, too.

15 DR. PAPP0: Thank you. If there are no  
16 additional comments or questions, we will move on  
17 to question number 3.

18 DR. DONOGHUE: Please consider the ongoing  
19 pediatric study and provide an opinion regarding  
20 the overall study design, including the patient  
21 population eligible for enrollment and the ability  
22 of a gated design to identify the tumor types that



1 should be further studied.

2 DR. PAPP0: Thank you. If there are no  
3 questions or comments concerning the word or the  
4 question, we will now open the question for  
5 discussion.

6 DR. ADAMSON: I think the design is on  
7 target. Let me try to parse the question.

8 We've discussed this before with the team.  
9 As Raphael mentioned, this is a classic phase 1  
10 two-stage Simon design phase 2 trial. That's how  
11 we've historically always developed drugs,  
12 certainly with cancer, multiple strata for phase 2.

13 I think the only concern I would have with  
14 the two stages is whether we set the bar too high  
15 on the first stage. If it's really going to be  
16 3 responses out of 10 before going on -- I think it  
17 was 2 or 3. That's a pretty high bar for a first  
18 stage in many cancers.

19 I would have some concern, especially with  
20 the lack of biomarkers and looking for biomarkers.  
21 For us, if we were to see 2 out of 10 responses to  
22 shut it down at that point, I think, might not be

1 the right threshold, so consideration to lowering  
2 the threshold to go from stage 1 to stage 2.

3 I do think for this drug, though, what is a  
4 broad-based approach to pediatric tumors makes a  
5 lot of sense. We don't know what the predictive  
6 markers are going to be.

7 This is obviously a highly active class of  
8 drugs for certain adult cancers, and we should not  
9 presume we know precisely which tumors it may or  
10 may not work in.

11 I think the overall approach of looking at  
12 multiple disease strata, looking for those signals,  
13 and, ideally, if you see those signals, to run with  
14 those signals and really do a deep dive when  
15 they're there is something I would support.

16 DR. PAPP0: Dr. Neville?

17 DR. NEVILLE: Just to build on what  
18 Dr. Adamson is saying, I would also encourage you  
19 to move quickly to combination studies. So you now  
20 have this the phase 1 safety data. But this class  
21 of drugs, I think, in particular, that is a very  
22 high bar because of how the drug works. I would

1 encourage you not to throw the drug away before you  
2 get combination studies.

3 To his earlier point, there is still quite a  
4 lag in drug development in rare diseases in  
5 pediatrics, we all know that. To start getting  
6 efficacy, we should hurry up with the combination  
7 studies.

8 DR. PAPPO: Thank you very much. Dr. Brown?

9 DR. BROWN: One of the things that has just  
10 struck me is in the adult setting, there appears to  
11 be at least a reasonable correlation between  
12 histology of the tumor and response.

13 I just am thinking that another hypothesis  
14 that might be at work here is that it may not be as  
15 related to tumor type in pediatrics. It may be  
16 more related to something about the immune  
17 competence of the patient, age at which they're  
18 being treated, et cetera.

19 One might want to consider being more of a  
20 lumper than a splitter in terms of the design of  
21 the study and not assuming that histology is going  
22 to be driving response to the same degree in

1       pediatrics as is true in adults.

2               DR. PAPP0: Thank you very much.

3       Dr. Warren?

4               DR. WARREN: In regard to the response  
5       criteria, as well as for some of the adult trials  
6       where we expect to see pseudoproggression, we allow  
7       for percent increase in the tumor size in the MRI  
8       scans before taking a patient off of the study. I  
9       think it's important to build in criteria to take  
10      patients off.

11              In that regard, I also think it's important  
12      to collect data on quality of life or other  
13      clinical outcome measures to see actually if a  
14      patient is suffering while they have long-term  
15      stable disease and see if it really impacts on  
16      their quality of life.

17              DR. PAPP0: Thank you very much. Anybody  
18      else?

19              (No response.)

20              DR. PAPP0: We'll try to sum up this.  
21      Overall, the committee feels that the study design  
22      is on target. It's a classic phase 1/2. One of

1 the concerns is whether the bar has been set too  
2 high and just consider bringing down your response  
3 rate to a more reasonable one in order to further  
4 then do a deeper dive if you see a specific signal  
5 in a specific subset of patients.

6 Also, consider combination studies, and  
7 those should be done relatively quickly once you've  
8 identified a dose and a subset of patients that may  
9 benefit from this drug.

10 Also, it may be that correlation of  
11 histology and response may not be a very  
12 clear -- there might not be a very clear  
13 correlation between histology and response in  
14 pediatric tumors. Therefore, you should explore  
15 immunocompetence, age and other factors to better  
16 assess the reason for the response in these  
17 patients.

18 Finally, try to expand some of your  
19 objectives. Despite the fact that patients might  
20 progress, try to collect data on quality of life  
21 and other outcomes to better assess the potential  
22 benefit of this drug in pediatric patients.

1 Anything else?

2 (No response.)

3 DR. PAPP0: We will now go to question  
4 number 4.

5 DR. DONOGHUE: Please consider the toxicity  
6 profile of atezolizumab in adults and discuss  
7 whether there are unique safety concerns related to  
8 potential short and long-term toxicities from the  
9 use of PD-L1 inhibitors in pediatric patients.  
10 Also, discuss potential ways to mitigate these  
11 risks.

12 DR. PAPP0: Based on the data that has been  
13 presented, it appears to have a very similar  
14 toxicity profile as other PD-1 inhibitors. I  
15 assume that we would expect a variety of side  
16 effects that would occur at different times, the  
17 rash, then the diarrhea, then the liver function  
18 test, and then the endocrinopathies. I assume that  
19 all of this is being monitored relatively closely  
20 in the protocol.

21 I don't have an answer as to potential ways  
22 to mitigate these risks other than close

1 observation and try to implement the therapies that  
2 are necessary to deal with these side effects in a  
3 very timely fashion.

4 Dr. Adamson?

5 DR. ADAMSON: I would echo that, Alberto. I  
6 think if we're fortunate enough to see a strong  
7 efficacy signal, that's when we'll be able to get  
8 into the long-term.

9 I don't think we're going to be able to  
10 predict what impact this may have. As far as on  
11 autoimmunity, I would love to see what data there  
12 are in adults, but I don't think that necessarily  
13 will extrapolate down into the pediatric  
14 population.

15 I don't see any red flags waving as far as  
16 why we would not proceed with developing this and  
17 seeing if we can find a signal that will move it  
18 upfront that eventually would allow us to look at  
19 some long-term issues.

20 DR. PAPP0: Thank you very much. Dr. Glade  
21 Bender? Julie?

22 DR. GLADE BENDER: I do think, though,

1 vis-à-vis the short-term toxicities, we could all  
2 use some better guidance on the use of steroids,  
3 like Dr. Reaman had mentioned.

4 I think a lot of protocols frown upon the  
5 use of steroids, and so you feel like you have to  
6 take the patient off study if they don't recover  
7 quite quickly.

8 If we could have a better understanding  
9 about how to use steroid if we do run into one of  
10 these side effects and whether we could keep the  
11 child on trial, that would be very helpful.

12 DR. PAPP0: Thank you very much. Dr. Raetz?

13 DR. RAETZ: Just one comment about the AYA  
14 population, it doesn't sound like from what's been  
15 discussed that there would be predicted to be a  
16 difference in the toxicity profile that's  
17 age-related. But if there is a signal that there's  
18 perhaps more toxicity or different toxicities in  
19 that population, you'd hate for that to influence  
20 your decision for the pediatric patients.

21 If you'd see that, you might want to limit  
22 the number of AYA patients per cohort or if there's



1 any concerns along those lines.

2 DR. PAPPO: Any additional comments  
3 regarding this question or questions?

4 (No response.)

5 DR. PAPPO: I believe that based on the data  
6 that has been presented, there are no significant  
7 concerns as unique toxicities that may be seen in  
8 pediatric patients.

9 We believe that it's reasonable to proceed  
10 with the development of the drug as you have  
11 explained it.

12 We would very much appreciate a better  
13 guidance on how to mitigate some of the side  
14 effects, specifically when to introduce steroids to  
15 try to keep the patient on protocol, especially if  
16 there appears to be benefit from drug and, also, to  
17 be able to identify early some signals of concern  
18 in selected patients.

19 Any additional comments or anything?

20 (No response.)

21 **Adjournment**

22 DR. PAPPO: We will now adjourn the meeting.

1 Panel members, please remember to drop off your  
2 name badge at the registration table on your way  
3 out so that they can be recycled. Thank you very  
4 much. We'll see you all tomorrow.

5 (Whereupon, at 2:44 p.m., the afternoon  
6 session was adjourned.)

7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22