

1 FOOD AND DRUG ADMINISTRATION
2 CENTER FOR DRUG EVALUATION AND RESEARCH

3
4
5
6 MEETING OF THE PEDIATRIC SUBCOMMITTEE OF THE
7 ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

8
9
10 Morning Session

11
12 Wednesday, June 29, 2016

13 8:00 a.m. to 11:08 a.m.

14
15
16 FDA White Oak Campus
17 10903 New Hampshire Avenue
18 Building 31 Conference Center
19 The Great Room (Rm. 1503)
20 Silver Spring, Maryland
21
22

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 Lauren D. Tesh, PharmD, BCPS

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7
8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Deborah K. Armstrong, MD**

10 Professor of Oncology

11 The Sidney Kimmel Comprehensive Cancer Center at

12 Johns Hopkins

13 The Johns Hopkins University School of Medicine

14 Baltimore, Maryland

15
16 **Alberto S. Pappo, MD**

17 *(Chairperson, pedsODAC)*

18 Member and Head, Division of Solid Malignancies

19 St Jude Children's Research Hospital

20 Professor of Pediatrics

21 University of Tennessee Health Science Center

22 Memphis, Tennessee

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Non-**
2 **Voting)**

3 **Phuong Khanh (P.K.) Morrow, MD, FACP**

4 *(Industry Representative)*

5 Executive Medical Director, Amgen Oncology

6 Therapeutic Area Head, US Medical Organization

7 Thousand Oaks, California

8
9 **TEMPORARY MEMBERS (Voting)**

10 **Patrick Brown, MD**

11 Director, Pediatric Leukemia Program

12 Associate Professor of Oncology and Pediatrics

13 Sidney Kimmel Comprehensive Cancer Center

14 Johns Hopkins University School of Medicine

15 Baltimore, Maryland

16
17 **Steven G. DuBois, MD, MS**

18 Director, Experimental Therapeutics

19 Dana-Farber/Boston Children's Hospital

20 Faculty of Pediatrics, Harvard Medical School

21 Boston, Massachusetts

22

1 **Ira J. Dunkel, MD**

2 Member Memorial Sloan-Kettering Cancer Center

3 Professor of Pediatrics

4 Weill Cornell Medical College Department of

5 Pediatrics

6 New York, New York

7

8 **Julia Glade Bender, MD**

9 Associate Professor of Pediatrics at Columbia

10 University Medical Center

11 Associate Director, Division of Pediatric

12 Hematology, Oncology and Stem Cell

13 Transplantation

14 Medical Director, Developmental Therapeutics and

15 Precision Medicine Programs

16 New York, New York

17

18 **Pamela Haylock**

19 *(Acting Consumer Representative)*

20 Medina, Texas

21

22

1 **Tobey J. MacDonald, MD**

2 Aflac Chair for Pediatric Neuro-Oncology

3 Professor of Pediatrics

4 Emory University School of Medicine

5 Director, Pediatric Neuro-Oncology Program

6 Aflac Cancer & Blood Disorders Center

7 Children's Healthcare of Atlanta

8 Atlanta, Georgia

9

10 **Gigi McMillan**

11 *(Patient Representative)*

12 Manhattan Beach, California

13

14 **Kathleen A. Neville, MD, MS**

15 Director, Experimental Therapeutics Program

16 Professor of Pediatrics, University of Arkansas for

17 Medical Sciences

18 Section of Clinical Pharmacology and Toxicology

19 Arkansas Children's Hospital

20 Little Rock, Arkansas

21

22

1 **Elizabeth A. Raetz, MD**

2 Professor of Pediatrics
3 Pediatric Hematology/Oncology
4 University of Utah
5 Huntsman Cancer Institute
6 Primary Children's Hospital
7 Salt Lake City, Utah

8

9 **Nita L. Seibel, MD**

10 Head, Pediatric Solid Tumor Therapeutics
11 Clinical Investigations Branch, CTEP/Division of
12 Cancer Treatment and Diagnosis
13 National Cancer Institute, NIH
14 Adjunct Professor of Pediatrics
15 George Washington University School of Medicine
16 and Health Sciences
17 Bethesda, Maryland

18

19

20

21

22

1 **Katherine E. Warren, MD**

2 Head, Pediatric Neuro-Oncology

3 Pediatric Oncology Branch

4 National Cancer Institute, NIH

5 Bethesda, Maryland

6

7 **Brenda Weigel, MD, MSc**

8 Associate Professor

9 Developmental Therapeutics Chair

10 Children's Oncology Group

11 Division Director, Pediatric Hematology/Oncology

12 University of Minnesota

13 Minneapolis, Minnesota

14

15 **FDA PARTICIPANTS (Non-Voting)**

16 **Gregory Reaman, MD**

17 Associate Director for Oncology Sciences

18 OHOP, OND, CDER, FDA

19

20

21

22

1 **Edvardas Kaminskas, MD**

2 *(Morning Session, Day 2 Only)*

3 Deputy Director

4 Division of Hematology Products (DHP)

5 OHOP, OND, CDER, FDA

6

7 **Rachel Ershler, MD**

8 *(Morning Session, Day 2 Only)*

9 Medical Officer

10 DHP, OHOP, OND, CDER, FDA

11

12 **Christy Osgood, MD**

13 *(Morning Session, Day 2 Only)*

14 Medical Officer

15 DOP II, OHOP, OND, CDER, FDA

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	11
5	FDA Introductory Remarks/Presentation	
6	Gregory Reaman, MD	13
7	Topic 1: LOXO-101 - Loxo Oncology, Inc.	
8	Conflict of Interest Statement	
9	Lauren Tesh, PharmD, BCPS	17
10	Industry Presentation - Loxo Oncology, Inc.	
11	Developing LOXO-101 in Children with	
12	NTRK Gene Fusion Cancers	
13	Josh Bilenker, MD	23
14	Clarifying Questions from Subcommittee	39
15	Questions to the Subcommittee and Discussion	84
16		
17		
18		
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Topic 2: Entrectinib - Ignyta, Inc.	
4	Conflict of Interest Statement	
5	Lauren Tesh, PharmD, BCPS	106
6	Industry Presentation - Ignyta, Inc.	
7	Entrectinib for the Treatment of Pediatric	
8	Cancers Harboring an Activating Alteration of	
9	NTRK1/2/3, ROSI, or ALK	
10	Pratik Multani, MD, MS	112
11	Clarifying Questions from Subcommittee	139
12	Open Public Hearing	156
13	Questions to the Subcommittee and Discussion	161
14	Adjournment	187
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:00 a.m.)

3 **Call to Order**

4 **Introduction of Subcommittee**

5 DR. PAPPO: Good morning. I would first
6 like to remind everyone to please silence your
7 cell phones, smartphones, and any other devices, if
8 you have not done already.

9 I would also like to identify the FDA press
10 contact, Angela Stark. If you are present, please
11 stand.

12 I would now like to ask the members,
13 consultants, FDA panel, and DFO to go around the
14 table and state their name into the record.

15 DR. MORROW: P.K. Morrow. I am a medical
16 oncologist employed by Amgen.

17 DR. WARREN: Kathy Warren, pediatric
18 neuro-oncology, NCI.

19 DR. RAETZ: Elizabeth Raetz, pediatric
20 oncology, University of Utah.

21 DR. DUNKEL: Ira Dunkel, pediatric oncology,
22 Memorial Sloan Kettering.

1 MS. MCMILLAN: Gigi McMillan, patient
2 representative.

3 MS. HAYLOCK: Pamela Haylock, oncology nurse
4 and consumer representative.

5 DR. ARMSTRONG: Deborah Armstrong, medical
6 oncologist and chair of adult ODAC.

7 DR. PAPPO: Alberto Pappo, pediatric
8 oncologist, St. Jude Hospital in Memphis and chair
9 of the pediatric ODAC.

10 DR. TESH: Lauren Tesh, designated federal
11 officer, peds ODAC.

12 DR. NEVILLE: Kathleen Neville, pediatric
13 oncologist and clinical pharmacologist at Arkansas
14 Children's Hospital.

15 DR. WEIGEL: Brenda Weigel, pediatric
16 oncology, University of Minnesota.

17 DR. MacDONALD: Tobey MacDonald, pediatric
18 oncologist, Emory University.

19 DR. GLADE BENDER: Julia Glade Bender,
20 pediatric oncology, Columbia University.

21 DR. SEIBEL: Nita Seibel, pediatric
22 oncology, NCI.

1 DR. OSGOOD: Christy Osgood, FDA Division of
2 Oncology and Hematology Products.

3 DR. ERSHLER: Rachel Ershler, pediatric
4 oncologist, FDA, Division of Oncology and
5 Hematology Products.

6 DR. REAMAN: Gregory Reaman, associate
7 director, Office of Hematology and Oncology
8 Products.

9 DR. PAPPO: Thank you very much.

10 Dr. Brown is just walking in. If you don't
11 mind just introducing yourself for the record, we
12 will wait for you.

13 DR. BROWN: Pat Brown, pediatric oncologist
14 from Johns Hopkins. Tardy.

15 (Laughter.)

16 DR. PAPPO: Thank you.

17 We will now proceed with opening remarks
18 from Dr. Greg Reaman.

19 **FDA Introductory Remarks/Presentation**

20 DR. REAMAN: I just want to welcome
21 everybody back again today. Thank you for coming.

22 Just to remind everyone, the purpose of

1 these meetings is really to provide some input,
2 advice to the agency on new promising agents, novel
3 agents for potential pediatric indications that
4 would help inform us in the formulation and
5 potentially in issuing a written request.

6 There was a comment made yesterday that this
7 wasn't early, the pediatric studies that were being
8 done with a specific product, but I'd like to point
9 out that I think the discussions that we'll have
10 this morning contradict that fact and that
11 pediatric studies are being performed. Pediatric
12 development plans are being considered in products
13 that aren't yet approved.

14 We are really trying to expedite and
15 facilitate as early as we can the consideration of
16 pediatric development, when it is appropriate and
17 when the products are relevant.

18 The other thing to focus on, I think, today
19 is that yesterday I mentioned that the Pediatric
20 Research Equity Act that mandates pediatric
21 evaluation or assessment of new molecular entities
22 or approved entities when there is a new dosage

1 form or a new indication is exempt when there is
2 orphan designation.

3 In the few situations where the cancers of
4 adults for which products are developed occur
5 relatively infrequently in children, like Hodgkin's
6 disease, some forms of AML, the requirement for
7 studies under PREA are exempt, because those
8 conditions or indications have orphan designation.
9 Again, we are caught because we're talking about
10 products here that will be developed or are being
11 developed to address unmet medical needs in rare
12 cancers -- rare cancers, period -- very rare
13 cancers in children.

14 Again, I think these are important
15 discussions and appreciate your insight and input
16 there. Thank you.

17 DR. PAPPO: Thank you very much.

18 For topics such as those being discussed at
19 today's meeting, there are often a variety of
20 opinions, some of which are quite strongly held.
21 Our goal is that today's meeting will be a fair and
22 open forum for discussion of these issues and that

1 individuals can express their views without
2 interruption.

3 Thus, as a gentle reminder, individuals will
4 be allowed to speak into the record only if
5 recognized by the chairperson. We look forward to
6 a productive meeting.

7 In the spirit of the Federal Advisory
8 Committee Act and the Government in the Sunshine
9 Act, we ask that the advisory committee members
10 take care that their conversations about the topic
11 at hand take place in the open forum of the
12 meeting.

13 We are aware that members of the media are
14 anxious to speak with the FDA about these
15 proceedings. However, FDA will refrain from
16 discussing the details of this meeting with the
17 media until its conclusion.

18 Also, the committee is reminded to please
19 refrain from discussing the meeting topic during
20 breaks or lunch. Thank you.

21 We will now proceed to topic 1, Loxo-101
22 from Loxo Oncology, Incorporated. Dr. Lauren Tesh

1 will read the conflict of interest statement for
2 this session.

3 **Conflict of Interest Statement**

4 DR. TESH: The Food and Drug Administration
5 is convening today's meeting of the pediatric
6 subcommittee of the oncology drugs advisory
7 committee under the authority of the Federal
8 Advisory Committee Act of 1972.

9 With the exception of the industry
10 representative, all members and temporary voting
11 members of the committee are special government
12 employees or regular federal employees from other
13 agencies and are subject to federal conflict of
14 interest laws and regulations.

15 The following information on the status of
16 this committee's compliance with federal ethics and
17 conflict of interest laws covered by, but not
18 limited to, those found at 18 U.S.C. Section 208 is
19 being provided to participants in today's meeting
20 and to the public.

21 FDA has determined that members and
22 temporary voting members of this committee are in

1 compliance with federal ethics and conflict of
2 interest laws under 18 U.S.C. Section 208.
3 Congress has authorized FDA to grant waivers to
4 special government employees and regular federal
5 employees who have potential financial conflicts
6 when it is determined that the agency's need for a
7 special government employee's services outweighs
8 his or her potential financial conflict of interest
9 or when the interest of a regular federal employee
10 is not so substantial as to be deemed likely to
11 effect the integrity of the services which the
12 government may expect from the employee.

13 Related to the discussions of today's
14 meeting, members and temporary voting members of
15 this committee have been screened for potential
16 financial conflicts of interest of their own, as
17 well as those imputed to them, including those of
18 their spouses or minor children and, for purposes
19 of 18 U.S.C. Section 208, their employers.

20 These interests may include investments,
21 consulting, expert witness testimony, contracts,
22 grants, CRADAs, teaching, speaking, writing,

1 patents and royalties, and primary employment.

2 This session's agenda involves information
3 to gauge investigator interest in exploring
4 potential pediatric development plans for five
5 chemical entities in various stages of development
6 for adult cancer indications. The subcommittee
7 will consider and discuss issues concerning
8 diseases to be studied, patient populations to be
9 included, and possible study designs in the
10 development of these products for pediatric use.

11 The discussion will also provide information
12 to the agency pertinent to the formulation of
13 written requests for pediatric studies, if
14 appropriate.

15 The product under consideration for this
16 session is Loxo-101, presentation by Loxo Oncology,
17 Inc. This is a particular matters meeting during
18 which specific matters related to Loxo Oncology's
19 product will be discussed.

20 Based on the agenda for today's meeting and
21 all financial interests reported by the committee
22 members and temporary voting members, a conflict of

1 interest waiver has been issued in accordance with
2 18 U.S.C. Section 208(b)(3) to Dr. Pappo.

3 Dr. Pappo's waiver involves his employer's current
4 study of Loxo interest and funded by Loxo Oncology
5 which is anticipated to be between \$50,000 and
6 \$100,000 per year in funding.

7 The waiver allows this individual to
8 participate fully in today's deliberation. FDA's
9 reason for issuing the waivers are described in the
10 waiver documents, which are posted at the FDA's
11 website. Copies of the waivers may also be
12 obtained by submitting a written request to the
13 agency's Freedom of Information Division at 5630
14 Fishers Lane, Room 1035, Rockville, Maryland 20857,
15 or requests may be sent via fax to 301-827-9267.

16 We would like to disclose that Dr. DuBois
17 has self-recused himself from participating in this
18 session of the meeting. To ensure transparency, we
19 encourage all standing committee members and
20 temporary voting members to disclose any public
21 statements that they have made concerning the
22 product at issue.

1 With respect to FDA's invited industry
2 representative, we would like to disclose that
3 Dr. P.K. Morrow is participating in this meeting as
4 a nonvoting industry representative acting on
5 behalf of regulated industry. Dr. Morrow's role at
6 this meeting is to represent industry in general
7 and not any particular company. Dr. Morrow is
8 employed by Amgen.

9 We would like to remind members and
10 temporary voting members that if the discussions
11 involve any other products or firms not already on
12 the agenda for which an FDA participant has a
13 personal or imputed financial interest, the
14 participants need to exclude themselves from such
15 involvement, and their exclusion will be noted for
16 the record.

17 FDA encourages all participants to advise
18 the committee of any financial relationships that
19 they may have with the firm at issue.

20 Thank you.

21 DR. PAPPO: Thank you.

22 Both the FDA and the public believe in a

1 transparent process for information gathering and
2 decision-making. To ensure such transparency at
3 the advisory committee meeting, FDA believes that
4 it is important to understand the context of an
5 individual's presentation.

6 For this reason, FDA encourages all
7 participants, including the sponsor's non-employee
8 presenters, to advise the committee of any
9 financial relationships that they may have with the
10 firm at issue, such as consulting fees, travel
11 expenses, honoraria, and interests in the sponsor,
12 including equity interests and those based upon the
13 outcome of the meeting.

14 Likewise, FDA encourages you, at the
15 beginning of your presentation, to advise the
16 committee if you do not have any such financial
17 relationships.

18 If you choose not to address this issue of
19 financial relationships at the beginning of your
20 presentation, it will not preclude you from
21 speaking.

22 We will now proceed with the sponsor's

1 presentation.

2 **Industry Presentation - Josh Bilenker**

3 DR. BILENKER: Good morning. I am Josh
4 Bilenker, a medical oncologist and CEO of Loxo
5 Oncology. Thank you for this invitation. It is an
6 honor to present to this committee on behalf of the
7 Loxo-101 development team.

8 In the next 20 minutes, I will be discussing
9 Loxo-101, a selective inhibitor of the TRK family
10 of kinases. I will highlight some of Loxo-101's
11 key attributes and provide an overview of our
12 reported clinical data. I will also review our
13 development thinking around TRK fusions with an
14 emphasis on pediatric cancers.

15 Given the rarity and diversity of TRK fusion
16 cancers, it is our conclusion that comprehensive
17 molecular testing is the best approach to realizing
18 the full potential of this molecular target. It is
19 our hope that the discussion this morning inspires
20 clinicians, investigators, lab directors,
21 diagnostics companies, and payers to overcome the
22 institutional barriers that today limit access to

1 comprehensive testing for children with advanced
2 cancer.

3 Today we will be talking about TRK as a
4 cancer target, but neurobiologists have been
5 studying TRK for decades. The TRKA, B, and C
6 receptors are encoded by the genes NTRK1, 2, and
7 3. They sit at the cell surface and bind
8 neurotrophins, such as nerve growth factor and
9 brain-derived neurotrophic factor. When activated,
10 TRK receptors signal through familiar downstream
11 pathways, such as the MAP kinase and PI3 kinase
12 pathways.

13 TRK signaling plays an important role in
14 embryonic development in the formation of the
15 central and peripheral nervous systems. In
16 postnatal physiology, the TRK family regulates
17 pain, movement, memory, and proprioception.

18 In 1986, the first oncogenic fusion was
19 described in a colorectal cancer cell line. Since
20 then, TRK fusions have been described across many
21 different cancer types. These fusions lead to a
22 chimeric TRK protein that is constitutively

1 expressed and capable of ligand independence
2 signaling. Conceptually, then, TRK is similar to
3 other oncogenic fusions, such as BCR-ABL and
4 EML4-ALK.

5 Given the role of TRK signaling in pain and
6 cancer, our development partner, Array BioPharma,
7 used x-ray crystallography to identify highly
8 selective inhibitors of TRKA, B, and C. Loxo-101,
9 our development candidate, came from these efforts.

10 Loxo-101 is highly selective relative to
11 other kinases and spares other problematic
12 off-targets, such as the hERG channel. In the
13 kinome dendrogram, shown to the right, one can see
14 that TRK is structurally similar to familiar
15 targets, such as ALK, ROS1, DDL1, and FLT3.

16 Dialing out these and other off-target kinases
17 required a dedicated medicinal chemistry effort.

18 Loxo-101 was chosen for clinical development
19 because of its clean profile and other factors to
20 be discussed shortly.

21 Loxo-101 has proven to be a potent inhibitor
22 of TRKA, B, and C in both enzyme and cell-based

1 assays. In the top left panel, we show that
2 Loxo-101 inhibits NTRK1 and NTRK3 fusion cell lines
3 at low nanomolar concentrations. We also show, in
4 the top right panel, that Loxo-101 has no activity
5 against other cancer cell lines, including ALK,
6 ROS1, and EGFR lines. Together, these data are
7 consistent with the selectivity profile discussed
8 in the previous slide.

9 In the bottom panels, we show data from
10 three in vivo tumor xenograft experiments. The
11 Cuto3.29 and the MO-91 models exhibit frank tumor
12 regressions, while the immortalized KM12 model,
13 which is part of the standard NCI 60-cell line
14 panel, exhibits tumor growth inhibition.

15 In summary, preclinical data suggested that
16 clinically achievable exposures of Loxo-101 could
17 deliver single agent tumor responses in patients
18 with TRK fusion cancers.

19 The Loxo-101 development program includes
20 both adults and children and is focused on TRK
21 fusion cancers. Our phase 1 trials accommodate
22 patients unselected for TRK fusions, as well as

1 patients specifically referred for enrollment
2 because of a known genetic diagnosis.

3 Here, we list the publicly disclosed
4 enrollment of TRK fusion patients across the
5 Loxo-101 program. In October 2015, we launched the
6 phase 2 basket study, called the NAVIGATE trial,
7 which is restricted to patients with TRK fusions.
8 This trial was initially designed for patients 18
9 and older, but after discussions with the agency,
10 we recently amended the protocol to include
11 patients as young as 12.

12 Loxo-101 was selected as the reference TRK
13 inhibitor for the NCI-MATCH and Pediatric MATCH
14 trials, though these trial arms have not yet
15 opened.

16 In the adult phase 1 study, Loxo-101 was
17 generally well tolerated. Doses have ranged from
18 50 milligrams daily to 150 milligrams twice daily.
19 A maximum tolerated dose has not yet been
20 established. A 100 milligrams twice daily is the
21 recommended phase 2 dose based on modeling of
22 target coverage, observed clinical efficacy, and a

1 favorable tolerability profile.

2 There have been very few grade 3 or 4
3 adverse events, regardless of attribution, with
4 most adverse events being grade 1 or 2. Adverse
5 event interpretation is confounded in the phase 1
6 setting by patients without TRK fusions who were
7 enrolled on the trial, but progressed very quickly.

8 We will have a better understanding of the
9 tolerability profile of 101 in the phase 2 setting,
10 where TRK fusion patients are expected to respond
11 and hopefully remain on study for a long time.

12 Given our early discussions of the neurobiology of
13 TRK, we should note that we have seen a few cases
14 of transient grade 1 and 2 dizziness in the phase 1
15 trial. Temporally, this side effect may be related
16 to Cmax, though we have also noted a possible
17 association with prior head and neck surgery and
18 radiation.

19 At the phase 2 dose of 100 milligrams BID,
20 Loxo-101 has demonstrated consistent and durable
21 efficacy in patients with TRK fusions. Here, we
22 present the 6 TRK fusion patients from the adult

1 phase 1 trial, evaluable for response as of the
2 date of cutoff. These 6 patients encompass 5
3 discrete pathologic diagnoses, including non-small
4 cell lung cancer, a salivary gland cancer known as
5 MASC, GI stromal tumor, papillary thyroid cancer,
6 and an undifferentiated soft tissue sarcoma.

7 In this waterfall plot, we show best
8 response by RECIST. You will see that 5 of 6
9 patients meet a confirmed partial response
10 definition. Four of these patients were treated at
11 the phase 2 dose of 100 milligrams BID, one patient
12 was treated above this dose at 150 milligrams BID,
13 and one patient was treated below this dose at 100
14 milligrams daily.

15 Below each bar is the number of monthly
16 cycles the patient is on study. All patients
17 remain in response, with the longest followed out
18 to 14 months.

19 Let's take a look now at the longest
20 responding patient, shown on the far right. This
21 is a 41-year-old mother of three who had a
22 metastatic soft tissue sarcoma with significant

1 disease burden. She had progressed through
2 combination chemotherapy and other investigational
3 therapies.

4 Upon study entry, she had a declining
5 performance status and required supplemental
6 oxygen. As you can see, her lung lesions have
7 regressed dramatically and quickly, and her
8 response has deepened over time.

9 This case report was first written about in
10 the Journal of Cancer Discovery.

11 At 100 milligrams BID, Loxo-101 delivers the
12 systemic free exposure, shown here in purple, and
13 the estimated free brain exposure, shown in blue.
14 The horizontal lines depict conservative free
15 fraction concentrations of Loxo-101 required to
16 deliver 90 percent and 50 percent inhibition of TRK
17 signaling.

18 At the phase 2 dose, Loxo-101 provides
19 sustained IC90 coverage peripherally and pulsatile
20 IC50 coverage in the brain. Perhaps this pulsatile
21 exposure in the CNS explains the favorable
22 tolerability profile and lack of MTD identification

1 in the phase 1 thus far.

2 We deliberately designed Loxo-101 to meet
3 this plasma-to-brain profile because of the normal
4 TRK expression and function story I described
5 previously regarding the central nervous system.
6 We would be happy to elaborate on this choice
7 during the discussion following our presentation.

8 Encouraging results from the phase 1 trial
9 led to the launch of this phase 2 basket trial in
10 October 2015. We call it the NAVIGATE trial, and
11 like all basket studies, it is designed to include
12 patients according to a genetic diagnosis, not an
13 anatomic one.

14 Patients receive 100 milligrams twice daily
15 and are treated until progression. We do pre-
16 specify certain subgroups for separate review and
17 futility assessment. This design allows for the
18 possibility that there could be context-dependent
19 differences in TRK fusion biology. We include a
20 separate group for CNS tumors, which are measured
21 for response by standard RANO criteria.

22 This trial is underway and enrolling well.

1 In the conduct of this trial, we have learned that
2 clinical sites with an institutional commitment to
3 comprehensive testing are able to identify TRK
4 fusion patients.

5 Our thoughts regarding pediatric development
6 have been informed by this experience.

7 Importantly, we have confirmed what the literature
8 predicted, that TRK fusion cancers are diverse and
9 that an unusually high number of fusion partners
10 have been described for TRK, at least 46 in the
11 literature, in addition to many other novel
12 partners we have identified in our clinical trials.

13 Perhaps more importantly, we have enrolled
14 patients with well over 10 discrete anatomic
15 diagnoses. Since we are seeing consistent activity
16 for Loxo-101 regardless of fusion partner or
17 primary diagnosis, our protocols are designed to
18 accommodate any patient of any age with any
19 diagnosis who has a documented TRK fusion cancer.

20 While the full disease burden associated
21 with TRK fusions in children is unknown, there are
22 several disease settings where TRK fusions have

1 been widely reported. These 6 pediatric cancers
2 are known to harbor TRK fusions in a meaningful
3 proportion of patients.

4 Some of these represent clear development
5 footholds for Loxo-101. For example, in papillary
6 thyroid cancer, as many as a quarter of patients
7 under the age of 18 may have disease attributable
8 to a TRK fusion. Pediatric sarcomas, including but
9 not limited to infantile fibrosarcoma, also have
10 TRK fusions.

11 In our briefing book, we consider where
12 there might be unmet needs in the management of
13 some of these cancers. Let's consider infantile
14 fibrosarcoma in more detail. In the last decade,
15 we have learned that TRK fusions are pathognomonic
16 for this disease, the most common soft tissue
17 sarcoma in children younger than the age of one.

18 As you know, this rare congenital cancer is
19 often cured by surgical resection and chemotherapy.
20 It presents in the extremities or in the head of
21 neck and usually follows a benign course. However,
22 a subset of patients requires limb-sacrificing

1 surgery or disfiguring resections in the pursuit of
2 negative surgical margins. Some patients develop
3 refractory, locally advanced, or systemic disease.
4 There is need, we believe, for a highly active,
5 well-tolerated systemic therapy in these settings.

6 Here's a recent case from our phase 1
7 pediatric trial. We were contacted by a physician
8 caring for a 16-month-old with infantile
9 fibrosarcoma. The patient had already been through
10 multiple surgical resections and combination
11 chemotherapy regimens. She had residual disease
12 involving the base of the skull which was
13 progressing.

14 She received Loxo-101 formulated as a liquid
15 at a dose estimated to approximate the 100
16 milligram BID dose in adults. She experienced a 90
17 percent reduction in tumor volume by MRI on the
18 first scan at 30 days. This response was confirmed
19 30 days later, meeting the definition of a
20 confirmed partial response.

21 The patient has had no evidence of drug-
22 related toxicity and is now again achieving

1 developmental milestones. Her case was recently
2 published in the Journal of Pediatric Blood and
3 Cancer.

4 As the safety and efficacy of Loxo-101 are
5 better understood, there may be an opportunity to
6 reduce the role of chemotherapy or high morbidity
7 surgical procedures in the setting of infantile
8 fibrosarcoma.

9 Another pediatric cancer worth mentioning is
10 neuroblastoma. There is a 20-year history of
11 literature connecting TRK to neuroblastoma
12 prognosis. Full-length TRKA and C expression are
13 correlated with favorable prognosis, but TRKB
14 expression is correlated with an unfavorable one.
15 It is not clear what these contradictory prognostic
16 signals say about the clinical potential of a pan-
17 TRK inhibitor that antagonizes TRKA, B, and C
18 equally.

19 Preclinical models suggest that TRK
20 inhibitors can inhibit tumor growth, but do not
21 cause single agent regressions. An older study
22 employing a drug called multikinase inhibitor with

1 anti-TRK activity, reported two lestaurtinib, a
2 objective responses in a highly refractory patient
3 group.

4 In the face of complicated biology, a drug
5 as selective as Loxo-101 is a pure test of the TRK
6 hypothesis in neuroblastoma. We expect to enroll
7 neuroblastoma patients in our ongoing phase 1
8 pediatric trial.

9 Loxo-101 is a soluble stable drug that
10 allows for many formulation options. We have
11 developed a taste-masked liquid formulation. For
12 the pediatric phase 1 trial, we conducted
13 preclinical bridging studies that showed comparable
14 release and exposure kinetics to a powder in
15 capsule formulation which we are developing in
16 adults.

17 We are currently accruing to a phase 1
18 pediatric study called the SCOUT trial, which
19 includes patients 1 to 21 years of age or younger
20 if they have infantile fibrosarcoma or congenital
21 nephroma.

22 A dosing nomogram based on SimCyp modeling

1 informs dose selection. Though unlike a typical
2 dose-finding trial, we are targeting the adult
3 equivalent, 100 milligrams BID, exposure from the
4 first dose cohort. Intrasubject dose escalation is
5 allowed based on real-time PK assessment.

6 While all advanced cancer patients are
7 eligible, most investigators are choosing to enroll
8 patients with lab-confirmed TRK alterations or
9 diseases where TRK biology may be relevant.

10 It is our plan to expand this protocol to
11 focus on biologically-defined cohorts, with an
12 emphasis on TRK fusions. Examples of cohorts we
13 are considering are shown to the right, which
14 include infantile fibrosarcoma, other TRK fusion
15 cancers, non-fusion TRK genetic alteration cancers,
16 and neuroblastoma.

17 The rarity of TRK fusions in pediatric
18 cancer raises many of the same questions we have
19 tackled in our adult development. We are thinking
20 carefully about how to build a regulatory package
21 to support Loxo-101 in pediatrics.

22 For certain tumors that impact both children

1 and adults, such as thyroid cancer and sarcoma,
2 there may be an opportunity to analyze data across
3 more than one trial. Based on the activity we have
4 already seen, we would like to modify our pediatric
5 phase 1 trial to include expansion cohorts that
6 address key TRK biology and clinical questions
7 relevant to pediatric patients. This streamlined
8 trial design will allow us to leverage the trial
9 infrastructure already in place for this drug.

10 Finally, the selection of Loxo-101 to be
11 part of the Pediatric MATCH trial is an opportunity
12 to confirm activity signals and safety over time.

13 TRK fusion cancers may be the first truly
14 genetically-defined cancers where anatomic site of
15 origin is a minor variable in drug development and
16 clinical management. Because TRK fusion cancers
17 are rare and occur in diverse clinical settings, it
18 doesn't make sense to develop a standalone
19 diagnostic test that exhausts precious tumor
20 material to answer one or just a few questions.

21 Comprehensive genomic profiling offers the
22 ability to exploit the full potential of Loxo-101

1 and other targeted therapies. Though there are
2 technical issues around gene fusion testing that
3 require special attention, utilizing RNA as the
4 testing substrate solves many.

5 It takes many stakeholders working together,
6 clinicians, investigators, lab directors,
7 diagnostics companies, and payers, to bring the
8 clinical management of advanced pediatric patients
9 to the edge of scientific knowledge. Hopefully,
10 exciting clinical results such as these will
11 encourage better and more frequent testing for TRK
12 fusions and the growing list of other actionable
13 targets.

14 In conclusion, I hope you have heard today
15 that TRK fusions have joined the canon of other
16 dominant oncogenic activating genetic alterations
17 in cancer and that Loxo-101 was rationally designed
18 for its potency and selectivity. Our early
19 clinical experience in pediatrics appears
20 consistent with our adult experience; namely, that
21 a TRK fusion predicts sensitivity to Loxo-101
22 regardless of primary diagnosis or fusion partner.

1 Finally, I hope you heard that we are
2 committed to the responsible and rapid development
3 of Loxo-101 in pediatric cancer.

4 Thank you again for allowing us to present
5 here today.

6 **Clarifying Questions from Subcommittee**

7 DR. PAPP0: Thank you very much.

8 We will now take clarifying questions for
9 the sponsor. Please remember to state your name
10 for the record before you speak. If you can,
11 please direct questions to a specific presenter.

12 Dr. Warren?

13 DR. WARREN: Hi. Kathy Warren from the
14 National Cancer Institute.

15 Can you go ahead and allude on the balance
16 between CNS penetration and potential efficacy for
17 CNS tumors and CNS toxicity? Is the exposure for
18 toxicity less or higher than what we would need for
19 exposure for anti-tumor effects?

20 DR. BILENKER: Thank you for the question.

21 I will walk you through a few more slides of
22 our thinking on the topic. There is a long

1 literature linking TRK to normal CNS development.
2 As shown on this slide, TRKA knockout mice have
3 neuron loss in the dorsal root ganglia. TRKB
4 causes effects on the trigeminal ganglia, also
5 dorsal root ganglia on motor neurons, and TRKC
6 affects large myelinated axons.

7 Next slide.

8 There are also two inherited kinaseopathies
9 reported in the literature. There is a congenital
10 insensitivity to pain with anhidrosis syndrome,
11 which leads to self-mutilation and trauma in
12 affected individuals. There is also even a case
13 report of a TRKB mutation which caused
14 developmental delay, impairment of short-term
15 memory, impaired nociception, hyperphagia and
16 obesity. These are developmental arguments for
17 being concerned about the TRK pathway in any TRK
18 inhibitor development program.

19 Next slide.

20 There were also clinical lines of evidence
21 suggesting that TRK inhibition in the brain could
22 cause deleterious effects in people. There are

1 clinical studies of two compounds from Nerviano
2 Medical Sciences. The first study by the call
3 letters listed on this slide was reported from a
4 phase 1 setting where two dose schedules were
5 explored, a 7-day on, 7-day off schedule, a 4-day
6 on, 3-day off schedule with one week off rest, as
7 well. Ataxia and tremor were dose limiting in
8 these settings for that drug.

9 Another sponsor presenting today will
10 discuss how neurotoxicity affected their selection
11 of clinical dose.

12 We saw the issue of CNS inhibition in the
13 brain of TRK as a real perhaps limiting issue for
14 peripheral exposures and that pulsatile exposures
15 might be a better option moving forward. That led
16 us to conduct some preclinical experiments, which I
17 will show you on the next slide.

18 With particular focus driven from the
19 literature that TRKB is important for normal
20 movement, memory, and activity, we looked at rats
21 in two proprietary models of CNS behavior. One was
22 an ataxia score, where we charted and which I am

1 showing here, and I will explain in a moment. The
2 second was we conducted so-called rotarod
3 experiments, where rats were asked to, basically,
4 after training, balance on a spinning wheel, kind
5 of like a log roller which you would see on TV.

6 I will focus here on the ataxia score data
7 we showed, and we saw a clear PK/PD effect with
8 regard to ataxia and behavioral problems in
9 animals. In other words, if you look at the top
10 panel A, you will see that the above two lines,
11 those two doses, 100 and 300 milligrams, are above
12 the IC90 levels causing TRK inhibition in the
13 brain. The lower dose, however, flirts above the
14 IC50 line, but doesn't approach IC90 levels.

15 When you look to the panel to the right, we
16 are actually mapping ataxia scores over time.
17 Interestingly, we see a delayed onset of ataxia.
18 They come on between 10 and 14 days of exposure.

19 The red line is our highest dose, and you
20 will notice that ataxia does not reverse in that
21 setting. At the lower dose of 30, in purple, there
22 is reversibility, and it was less severe to begin

1 with, and that's the dose that correlates with IC50
2 coverage.

3 To us, drawing from these three lines of
4 evidence, literature, previous clinical studies of
5 other TRK inhibitors, as well as our own
6 proprietary work, that suggested that a pulsatile
7 profile of transient brain exposure would be best.

8 Next slide.

9 Here is the most direct answer to your
10 question, where we are modeling target coverage,
11 where we integrate the potency of the drug, its
12 protein binding, which accommodates, obviously, its
13 free fraction. You can see that our phase 2 adult
14 dose of 100 BID delivers sustained IC90 coverage
15 peripherally, in purple, whereas in the brain, that
16 same dose delivers transient or pulsatile IC50
17 coverage in the brain.

18 There is ample literature from adult
19 settings in cancer where IC50 coverage in the
20 brain, such as in the EGFR space or ALK space, can
21 deliver objective tumor regressions.

22 Next slide.

1 The overall disease burden we are seeing for
2 TRK fusion cancers, with, obviously, the notable
3 exception of primary CNS tumors, the overall
4 disease burden of cancers that go to the brain
5 harboring TRK is very low, in our experience.
6 Here, we are showing you really the only case we
7 have, showing you how low it is.

8 However, this patient did have brain
9 metastases at baseline, some midline abnormalities,
10 as well as some in the occipital region. Although
11 his disease burden in the CNS is low, we were
12 heartened to see an improvement or a regression of
13 these lesions over time, which correlate to his
14 improving lung burden, as well.

15 DR. PAPPO: Thank you.

16 Does that answer your question?

17 DR. WARREN: Yes.

18 DR. PAPPO: Thank you.

19 Dr. Weigel?

20 DR. WEIGEL: Thank you. Brenda Weigel.

21 I have a few questions. One was similar
22 along those lines, and I congratulate you for

1 really focusing on pediatric development and for
2 starting your pediatric phase 1 at what we think
3 will be a meaningful full dose, the equivalent of
4 the adult recommended dose.

5 One of the challenges, I think, following up
6 a little bit on the questioning now, is that
7 according to the information provided, you have a
8 dose escalation plan that goes to about three times
9 what is the current recommended phase 2 dose for
10 adults, and you haven't reached an MTD in adults,
11 at least as presented and as I understand.

12 How are you making that decision to escalate
13 purely on toxicity if you think the optimal
14 pharmacokinetics are around this dose? You alluded
15 to that you are allowing inpatient dose
16 escalation. How is that decision-making being
17 played into the data that was just presented?

18 DR. BILENKER: Fortunately, we will have the
19 help from our investigators to make the final
20 decision of dose in the phase 1 setting. I will
21 just remind you -- slide up -- that in the adult
22 setting, we are seeing consistent efficacy at a

1 range of doses which do include and straddle our
2 recommended phase 2 dose.

3 Next slide.

4 There are some subtleties in our design of
5 the phase 1, which if you allow, I will walk
6 through briefly here.

7 Again, this is a phase 1 multicenter, open
8 label study. It is a rolling six design. Loxo-101
9 is delivered BID. Based on SimCyp modeling, we
10 came up with a dosing nomogram that incorporates
11 body surface area, as well as the age of the
12 patient, obviously reflecting CYP3 ontogeny in
13 those different settings. We are using that to
14 select a given patient's dose by cohort.

15 It is a little confusing and subtle. If you
16 look at our dose cohort definitions, which are
17 expressed in milligram-based doses, that is really
18 just the target dose. That allows us to pick a
19 different spot.

20 Two slides back up.

21 Here is an example for dose cohort 1 of how
22 the dosing nomogram looks. The nomogram slide,

1 slide up.

2 In cohort 1, for example, with the BSA
3 known, with the age of the patient known, it allows
4 us to pick the spot on the grid. You can think of
5 our so-called dose escalations effectively going
6 down and to the right over time, though they are
7 called by milligram names.

8 Since we have ample PK assessment in this
9 trial setting, our investigators are getting
10 real-time PK information back in real-time, and
11 they can adjust the patient up at their discretion
12 towards the range of exposures we have seen at the
13 100 BID dose.

14 The protocol is written today deliberately
15 for flexibility. As we get more dose experience,
16 we will learn, A, how close we are with our first
17 guess to a desired pediatric concentration.

18 Secondly, we will be able to elucidate whether
19 there are unique safety issues in children and
20 whether the tolerances are the same or not. But
21 with the advice from our safety committee and
22 investigators, our plan is to go up high.

1 I will show you one other idea to consider.

2 Next slide.

3 Here is a PK curve from our adult
4 experience, to the right. To the left, we are
5 showing dose proportional Cmaxes, and to the right,
6 we are showing their impact on AUC. We are getting
7 micromolar exposures at Cmax, and this is a log
8 scale. So some of the differences between dose
9 levels are blunted in the visual impact.

10 However, you will see that with increasing
11 doses, we have an impact on Cmax first, not
12 surprisingly, but the shapes of the curves and the
13 sustained coverage of IC90 and 50 are generally
14 similar as we are moving up modestly by dose.
15 Because we were able to start with biologically
16 relevant doses from the beginning of this design
17 based on just our therapeutic window in animal IND
18 enabling studies, we were able to get onto this
19 curve pretty quickly in the adult phase 1 setting.

20 This PK model, to us, also has us scratching
21 our head a little bit, how much efficacy are we
22 really leaving on the table or gaining, I should

1 say, as we go up 50, 100 milligrams at a time. But
2 we are willing, certainly, to keep going.

3 If it seems that patients with CNS tumors
4 require more dose or it seems that pediatric
5 patients tolerate the drug unusually well, I think
6 we are all in the camp of more is better. And we
7 are going to again defer to our investigators for
8 help with this PK-directed choice of dose.

9 DR. WEIGEL: Thank you. Because I think it
10 is a real challenge given that you haven't reached
11 maximally tolerated dose and you may not and you
12 may not in your adults actually choose to do that.
13 It is how do we define, particularly in the CNS
14 space, the optimal dose in children.

15 As you allude to, we may need to push the
16 dose higher to get that optimal exposure in the
17 CNS. I think it is a real challenge.

18 A follow-up question. It sounds as if you
19 are really designing this as a real-time PK
20 assessment to optimize a target range and that
21 target range seems to yet be completely defined
22 based on the adult data. There are two moving

1 parts.

2 Am I understanding that correctly or have I
3 overstated? In the adults, you have a target range
4 that you think is likely for the adult tumors. We
5 are not sure if that is necessarily the optimal
6 target range, but it was a good starting point, and
7 I congratulate you for that, for the pediatric
8 tumors. But we may need a different dose
9 potentially in children for CNS optimization, and
10 defining that dose if we are not actually going to
11 see a maximally tolerated dose due to classic
12 toxicity assessments.

13 I guess that is the challenge of the
14 decision-making. I am not saying it is easy, and I
15 am not saying there is a great answer to that. I
16 am saying it is a real challenge, because it is a
17 little bit of a moving target.

18 You don't need to comment or answer, because
19 I am not sure there's a great answer to that.

20 The other question I have, it alludes again
21 to the CNS toxicity. You alluded to enhanced
22 toxicity in combination with radiation therapy.

1 Can you expand on that a little, what you are
2 seeing, what the potential toxicities are there,
3 and is that a space that needs to be explored a
4 little bit more in combination, especially for CNS
5 patients?

6 DR. BILENKER: It is so hard to parse
7 relatedness to toxicity in a phase 1 setting when
8 patients have so many inter-occurring illnesses.
9 As I mentioned, in the phase 1 setting, many of our
10 patients were unselected for TRK fusions. Most of
11 those patients progressed within two cycles. Their
12 disease progression was captured in our adverse
13 event table.

14 We have noticed in a couple of dizziness
15 cases, those patients just happened to have had
16 extensive head and neck surgery. You remember this
17 disease entity that we discussed on the waterfall
18 plot, masked tumors, it's mammary analogue
19 secretory cancer of the salivary glands. It is
20 fairly new. It is a mouthful, and some pathologist
21 maybe should have named it after himself instead.

22 (Laughter.)

1 DR. BILENKER: But obviously, many of these
2 patients have prior head and neck surgery and
3 radiation, and we just noticed that some of these
4 patients seem a little more sensitive. They even
5 come on the study with fragility, by clinician
6 report, of having other kinds of CNS-type symptoms
7 prior, and maybe this drug exacerbates those.

8 There is literature, as you know, where
9 there is compromise of the blood-brain barrier in
10 the setting of radiation. It is possible that we
11 are getting more into the brain for longer periods
12 of time and causing and seeing more dizziness.

13 But I can tell you that we are really
14 interested in the question, especially in peds.

15 Slide up.

16 In our electronic case report form, we have
17 built this dedicated neurologic questionnaire,
18 where we are covering cognitive disturbances,
19 ataxia, dizziness, memory impairment, parathesias,
20 et cetera. We are really encouraging our
21 investigators to look out, be careful, be on guard,
22 given the issues of detecting tox in children who

1 are not the best historians for this sometimes.

2 We are really concerned about the issue, and
3 our case report form will capture it.

4 DR. PAPPO: Thank you.

5 Dr. Seibel?

6 DR. SEIBEL: Thank you for your
7 presentation. And do you have any data about
8 developmental resistance to Loxo-101?

9 DR. BILENKER: We do. Very interesting
10 story. Slide up, please.

11 Acquired resistance, unfortunately, is a
12 common fact in development of targeted therapies.
13 Fortunately, in the last several years, we have a
14 better structure-based understanding of why they
15 occur.

16 As of this presentation, Loxo-101, we have
17 seen no progressors among responders. We have not
18 seen this yet clinically, but there are two case
19 reports of patients who progressed on entrectinib.
20 One patient developed a G595R mutation, and another
21 patient developed a G623 mutation. Both these case
22 reports are published, by the way.

1 Both of these are occurring in the so-called
2 solvent front of the ATP binding site of the kinase
3 domain. In brown, I am showing you a scaffold of
4 our drug, Loxo-101, and in green, you will see that
5 595 arrow where the solvent from it is. You can
6 imagine if you replace that position with a bulky
7 amino acid, the binding kinetics of Loxo-101 are
8 likely to be different.

9 Towards the right of the picture is where
10 the gatekeeper mutations occur, and there are
11 others. Interestingly, 595 and 623 are exact
12 paralogues of the ALK 1202R mutation and the
13 ROS1-2032R mutation. If you line up the amino acid
14 chains for these different kinases, ALK, ROS and
15 TRK, and you look at the amino acid positions,
16 where they overlay in space, they are exactly
17 paralogous.

18 It is pretty interesting to see two, one
19 patient in Italy, one patient in New York City, not
20 to mention lining up with prior descriptions in ALK
21 and ROS. That presented a company like ours with a
22 conundrum. We are doing all this work to find

1 these rare TRK fusion patients. If and when they
2 progress, we want to be ready.

3 We did some work preclinically, which we
4 published in the AACR, slide up, with the Doebele
5 lab in Colorado, where we did directed mutagenesis
6 experiments, where it is possible to, in the lab,
7 pressure a system and drive resistance to your
8 drug. Then you characterize where those amino acid
9 changes occur. We can provide this reference
10 subsequently.

11 What you basically see is when one of these
12 amino acid changes occur, this is a bit of an
13 artificial environment, and it doesn't always
14 predict clinical effect, doesn't always predict the
15 clinical mechanisms of resistance, but it can. In
16 this case, it did.

17 That led us to then go back to our chemistry
18 library -- next slide -- where we had a compound
19 sitting around that was chemically diverse from
20 Loxo-101. It is called Loxo-195, and it is a
21 highly potent nanomolar, very selective drug, very
22 similar in profile to Loxo-101 in terms of its

1 selectivity, but again, structurally distinct.

2 It is active against all the reported
3 acquired resistance mutations that have been
4 reported clinically, as well as the relevant
5 preclinical identifiers. This drug, we are
6 accelerating its development to tuck it in behind
7 Loxo-101. It is poised to enter the clinic in
8 2017.

9 Our goal, again, is to be ready. In
10 previous targets, EGFR, whether it is T790M or ALK,
11 like I showed you, with 1202, there is often a
12 multiyear gap or delay between first gen and second
13 gen, and the patients who develop those mechanisms
14 of resistance, unfortunately, don't have a
15 therapeutic option waiting. Our goal is again to
16 tuck this right in behind and be ready in the case
17 that the patients progress because of a point
18 mutation that confers binding resistance to Loxo-
19 101. Stay tuned on this, but we are really trying
20 to follow this literature closely.

21 DR. SEIBEL: You said that you haven't had
22 any patients who responded who have gone on to

1 progress or develop resistance; is that correct?

2 DR. BILENKER: That is correct.

3 DR. SEIBEL: Have any patients who have
4 responded come off the drug?

5 DR. BILENKER: I am really going to limit my
6 comments today to disclosed patient information,
7 but I can say that the phase 2 experience we are
8 seeing is very consistent with our phase 1
9 experience. What we are seeing out in the field is
10 we are seeing a variety of patients with a variety
11 of health status, as well as a variety of testing
12 platforms with the TRK fusion.

13 We are studying all those patients very
14 carefully to make sure indeed they are TRK fusion
15 patients primarily. But again, the drug is
16 behaving very well, and please stay tuned. We are
17 running a registration-enabling trial potentially
18 with our phase 2 basket study, so we want to be
19 very careful about how we disclose data.

20 DR. SEIBEL: Then in the case you showed of
21 the 16-month-old, the patient had a response within
22 30 days. Is that the usual pattern, or is it a

1 more extended response? Can you give us more
2 information about the response timing?

3 DR. BILENKER: Yes. I can give you a better
4 sense of the temporal response. Why don't we just
5 walk through a couple of cases where I can show you
6 some freeze frames where you will see the early
7 response and you will see a deepening.

8 Slide up.

9 We talked about the sarcoma patient. Within
10 three days of her first dose, she felt markedly
11 better. By her day 8 PK draw, she was bounding up
12 the stairs in Colorado at altitude without oxygen.
13 Something had clearly changed for this patient
14 within the first week of dosing, and her lung scan,
15 we don't show -- she had a 30-day scan, because
16 everybody was so excited, but I'll show you here
17 her cycle 3 scan. Then you see even a deepening of
18 response over time.

19 We see a very rapid and immediate
20 improvement in symptoms, but we do see improved
21 radiographic deepening over time.

22 Next slide.

1 Here is just another patient who presented
2 with a GIST tumor. You can see his PET scan on the
3 top panel, a high disease burden in the liver and
4 abdomen, large liver lesion. His abdominal pain
5 went away. He was mowing his lawn within the first
6 couple of weeks of dosing. Something also had
7 clearly changed for him clinically, and his CAT
8 scans, I think, support that time course.

9 Next slide, please.

10 Here is a patient who had rapid reduction in
11 cough symptoms, deepening response over time.

12 Next slide.

13 The disease burden in this patient is
14 slightly lower, but you can see at cycle 3, they
15 are shrinking. Then the next cycle, even better.

16 Then the next slide is a 33-year-old who had
17 miliary disease in the lung, these smaller lesions,
18 had a lot of cough and shortness of breath. Within
19 a month or two of dosing, he decided to start
20 training for a marathon. He was a runner before.

21 The clinical symptomatology improves very
22 dramatically. The earliest scans we have are from

1 30 days, and those all show shrinkage. It is
2 exciting to work with this drug in the clinic.

3 DR. PAPP0: Thank you.

4 Ms. McMillan?

5 MS. MCMILLAN: Excuse to you.

6 You mentioned that one of the risks about
7 this is identifying patients me for asking a
8 question with my back, and you promote
9 comprehensive genomic profiling for the pediatric
10 patients. Can you talk about the relation between
11 the potential success of this agent and the
12 requirement for a comprehensive genetic profiling
13 on a large-scale basis?

14 DR. BILENKER: I am glad you asked because
15 we really feel like this is the issue for this
16 program. It is a highly active drug, and it is
17 just about finding patients.

18 The patients we have enrolled, it almost at
19 times feels like happenstance, but the ability to
20 have gotten the patient came from tumor tissue that
21 happened to be sent to a central reference lab with
22 good fidelity or the patient pushed for it.

1 Let me just, if I may, just take a few
2 moments to think about some of the testing issues
3 with you. They are not trivial. They are not
4 trivial technically, and they are not trivial from
5 an implementation standpoint, much less payer
6 issues and the rest.

7 If you will indulge, I will just take a few
8 moments and walk through a few slides on testing.
9 Slide up.

10 The perfect test is minimally invasive;
11 comprehensive; sensitive and specific; requires
12 straightforward specimen handling; doesn't require
13 a master's degree, in other words, in the lab; low
14 cost, but well reimbursed; easily interpreted; and,
15 affects clinical decision-making. That's the
16 perfect test. We don't have that at all.

17 What we do have -- next slide -- is this
18 grid of four different methods that have the
19 ability, at least in theory, to detect a TRK
20 fusion. We have next-gen sequencing. We have
21 RT-PCR. We have FISH and IHC. They all have,
22 honestly, their pros and cons, and all have been

1 used in different settings to detect oncogenic
2 fusions.

3 Just starting at the bottom, we all have
4 worked with IHC forever. It is proven, and it is
5 inexpensive. In fact, in the ALK space, it is now
6 an approved companion diagnostic test. If there is
7 a protein like TRK or ALK that is not widely
8 expressed systemically in the normal adult, simple
9 positive staining may either identify a true
10 fusion, to be confirmed by a better method, or it
11 may actually enrich who you may trigger reflex to
12 in a more expensive method.

13 Break-apart FISH assays are like the old
14 school visual way to see a fusion. However,
15 remember, here we are dealing with three different
16 genes, NTRK1, 2, and 3. They all participate in
17 fusions. You would need a six-color FISH assay to
18 really launch this, and it is only a single plex
19 question. So does it make sense to develop a test
20 for, let's call it, a 1 or 2 percent event across
21 human cancer if you're exhausting a lot of tumor
22 tissue to answer that one question?

1 PCR is problematic because TRK fusions have
2 just so many partners, as I mentioned. If it had
3 one partner, like ETV6, maybe you could develop a
4 dual probe that cut across each other and develop a
5 PCR assay.

6 Next-gen sequencing I think is where most
7 people are focused, because it is comprehensive, it
8 is hypothesis free, you can order the same panel
9 for everybody no matter what your clinical
10 suspicions are. But gene fusions require a lot of
11 deliberate probe design in the setting of NGS.
12 Specifically, remember that fusions are intronic
13 events, and most next-gen panels are built to exon
14 specs. The mutations would be a lot easier.

15 Secondly, in the case of TRK, the introns
16 are very big. Thirdly, the fusion partners are
17 many, as we talked about, and they are also GC
18 rich, which is a technical issue that lab guys tell
19 me is really important to building cost-effective
20 probes.

21 There is one work-around in the NGS, which
22 is the use of RNA instead of DNA as your testing

1 substrate. Unfortunately, most NGS panels deployed
2 commercially or in academic settings are
3 DNA-focused still.

4 If we could write the script for the world,
5 we would love to see reimbursed, sensitive NGS
6 panels widely adopted in pediatrics and adults that
7 incorporated RNA as a testing substrate.

8 The next slide is just a graphic of what I
9 told you about, the issue around fusion detections
10 and identifying them.

11 MS. MCMILLAN: Then my follow-up question is
12 if you don't get what you want, that great test
13 sounds lovely, it would have to be a widespread.
14 How likely can you continue to develop this agent
15 successfully without that comprehensive, wide-scale
16 testing?

17 DR. BILENKER: We have worked with a handful
18 of centers that are themselves at least dedicated
19 to comprehensive testing, and many of these centers
20 have had to be creative in the way they have
21 sourced funding and technology to, in fact, do
22 that.

1 We have that slide in our main deck, where
2 we showed the child with the different TRK fusion
3 cancers, and there truly are some development
4 footholds there, at the very least. Infantile
5 fibrosarcoma, again, is a 90 percent TRK event.
6 That is a very, as you know, rare clinical problem.

7 ETV6 is a frequent, but certainly not the
8 only partner. We are benefiting a little bit from
9 happenstance ETV6 detection.

10 Slide up.

11 I guess we would say to you guys and the
12 academic world, it is not just about us. There is
13 a whole list of molecular targets that are worth
14 looking for in virtually all of the pediatric
15 diseases where there is unmet need for systemic
16 therapies.

17 I guess we are trying to do our job as a
18 therapeutics company to interact with the best and
19 brightest in the diagnostics world and say you guys
20 need actionable content that is read off of your
21 panels and your kitted assays. And here are some
22 of our thoughts about why it matters for TRK, and

1 exciting stories like 101 and others, we think,
2 will be your future with regard to reimbursement
3 and adoption, which are the things you care about.

4 We try to tell this narrative whenever we
5 can and when we are interacting with sites. But in
6 the short term, the way we are handling it for
7 enrollment, again, is we work with the committed,
8 which makes our site numbers much fewer than most
9 oncology clinical trials.

10 Number two, we get lucky now and then that
11 patients are identified through ETV6 probes or they
12 happen to be reflexed to a place like Foundation
13 Medicine or another good reference lab.

14 Right now, we are getting lucky, but it is
15 our hope that -- we know it is very -- when you see
16 the drama of these clinical results in children and
17 adults, it haunts you to think that there are
18 patients out there in cancer clinics with sarcoma,
19 say, or with thyroid cancer who have exhausted
20 radioactive iodine, who just haven't been detected
21 yet.

22 We feel like all we can do is tell our story

1 and encourage others to look harder.

2 DR. PAPP0: Thank you.

3 Dr. Armstrong?

4 DR. ARMSTRONG: My question actually had
5 been pretty similar about the testing. Just in
6 light of that, your third arm on the SCOUT trial,
7 it's the non-fusion TRK genetic alteration cancers.
8 What actually is going to be on that arm?

9 DR. BILENKER: Just to be super clear, those
10 SCOUT trial concepts are in development. They are
11 not formal protocol amendments yet, but you focused
12 on -- slide up.

13 Just to remind everybody, the third box I
14 think is what you are referring to.

15 DR. ARMSTRONG: Yes.

16 DR. BILENKER: That can encompass a few
17 categories, amplifications, mutations, and even
18 other diseases where TRK signaling -- like diseases
19 of the neural crest where maybe TRK signaling is
20 important. There are settings like DIPG where we
21 often don't have a biopsy diagnosis, but there is
22 some clinical suspicion that it may be there as a

1 fusion.

2 If you like, I can tell you a little bit
3 about our thinking around TRK mutations and
4 amplifications and what we know and what we don't
5 know. Would that be interesting?

6 DR. ARMSTRONG: I think that is going to be
7 a good target, like fusion.

8 DR. BILENKER: We actually don't for
9 mutations. We don't know for amplifications, but I
10 can explain why, if it's interesting.

11 Slide up.

12 Here is what a beautiful mutational
13 activating mutation story looks like. I will point
14 you first, this is the V600 BRAF story, and I will
15 point you to the Y-axis first, where it goes up to
16 625 on the Y-axis. We pulled this off of the
17 cBioPortal resource at Memorial Sloan Kettering.

18 You will see that the classical V600E
19 mutation in BRAF is heads and shoulders above the
20 other described mutational events that have now
21 populated the databases and literature.

22 Next slide.

1 Here is what, for example, the NTRK2 story
2 looks like. Focus first on the Y-axis, where it
3 only goes up to 5, and you can see it is really a
4 panoply of mutations. You don't see that classical
5 hotspot histogram, which is a bit of a molecular
6 epi tell that it is activating.

7 Next slide.

8 There is also a handful of other questions
9 you can ask. Is it nonsynonymous? Is it
10 expressed? Does it occur in the kinase domain?
11 Does it occur in the absence of other known
12 oncogenic drivers?

13 We actually did an analysis of these
14 questions and parsed the literature -- next
15 slide -- and showed a poster at ASCO last year,
16 where basically we looked at over 1800 distinct
17 mutations reported across NTRK1, 2, and 3. There
18 were no hotspot signals, as I mentioned earlier,
19 and most reported TRK mutations, unfortunately,
20 have no detectable expression. Only a small
21 minority, at least by our read, were worth looking
22 at.

1 But we do have patients who self-refer or
2 their doctors refer because they have a mutation
3 call on a path report, and we have now a framework
4 to think about its likelihood of activating. There
5 is a single digit percent of TRK mutations that we
6 think are probably worth the clinical question.

7 Just a brief word about the amplification
8 story, which was a little earlier.

9 Next slide.

10 Here is a scatter plot showing a story that
11 we think is very compelling for amplification,
12 namely, HER2, and a story for TRK, which is, I'd
13 say, less compelling. What you see in the scatter
14 plot for HER2 is you see a significant number of
15 copies, and you see that they are expressed. For
16 TRK, we don't see that same copy number increase
17 generally across published literature and that
18 doesn't correlate with expression.

19 Again, this is directional for any given
20 patient. An amplification story may be activating,
21 may be interesting. We have enrolled some in our
22 adult phase 1, and we're following them. We expect

1 to probably enroll some in pediatrics.

2 DR. PAPP0: Thank you.

3 Dr. Glade Bender?

4 DR. GLADE BENDER: First of all, I would
5 like to congratulate you for what has been an
6 exquisitely clear presentation. I really
7 appreciate that.

8 DR. BILENKER: Thank you.

9 DR. GLADE BENDER: My first question has to
10 do with, then, given the platform for the NCI-
11 MATCH, how likely do you believe that it will be to
12 pick up these TRK mutations and refer patients to
13 that trial?

14 DR. BILENKER: In short, it's good, but not
15 great. The Thermo Fisher Oncomine panel was okay.
16 The focused panel is much better. The content
17 continually improves, and so as additional fusion
18 partners are identified and can be incorporated in
19 the assay, that is all the better.

20 In the clinical development, you don't have
21 to catch everybody to make this work. You have to
22 catch enough, and ultimately, it is our hope that

1 we, with NCI-MATCH or with our own trials,
2 kickstart this feedback loop of excitement where
3 people decide that TRK fusion cancers matter and
4 are worth looking for and are actionable and that
5 leads to more and better testing.

6 That is really our hope, but it is good
7 enough to be worth the effort is the concise
8 answer.

9 DR. GLADE BENDER: Then a different
10 question. You made the comment before that we all
11 believe that more is better. I am not sure I
12 believe that for these kinds of targeted agents. I
13 think there is probably an optimal biologic dose.
14 It looks like you are even there, and because what
15 we are talking about are young kids and you have
16 alluded to effects potentially on neuro
17 development, maybe more is actually worse.

18 I wonder if, again, preclinically, you have
19 any long-term toxicity data in juvenile animals
20 and, also, if there is any cumulative toxicity, as
21 we have seen with other kinase inhibitors.

22 DR. BILENKER: I am glad I don't have to

1 make the clinical decisions that you all make.
2 Just to paint for you a case study that we
3 encounter, and this is a typical case study, is you
4 have a patient. They are doing well on the drug,
5 the dose. They are responding dramatically. You
6 get the PK back, and it is in the lower quartile of
7 the population exposures you have seen, even at
8 that dose.

9 You have the protocol flexibility to
10 increase. The patient is doing well. There are no
11 adverse effects. The question is what do you do.
12 Do you stand pat, stay at that dose for that
13 patient when you know comparable exposures have
14 been well tolerated in other patients, or do you go
15 up? Will you be able to catch up later in the
16 setting of clinical progression if that were to
17 occur?

18 Those are the kinds of questions that get
19 wrestled with, and we wind up deferring to our
20 clinical team.

21 I don't know, Dr. Laetsch, do you want to
22 add to this debate, or should I leave you alone?

1 Then when he is finished, I can tell you a
2 little bit about our preclinical package.

3 DR. LAETSCH: I am Theodore Laetsch. I am a
4 pediatric oncologist at UT Southwestern and an
5 investigator on the Loxo-101 phase 1 study and a
6 consultant for Loxo.

7 I think that is an excellent question,
8 Dr. Bender, and it is a struggle. I think there
9 are competing interests. It certainly seems like
10 there is an optimal biologic dose for which we are
11 close for peripheral tumors. I think there is
12 certainly a concern that for CNS tumors, we don't
13 know yet whether or not we are at the appropriate
14 dose for those.

15 I think in this study what we have done is
16 in consultation with Loxo, but the group of
17 investigators for the patients who review the
18 toxicity of the patient, review the pharmacokinetic
19 data and review the toxicity that we have seen in
20 other patients and try to make a decision about
21 whether or not to dose escalate individual patients
22 and also whether or not to increase the starting

1 dose or increase the dose level for patients on
2 this study.

3 DR. BILENKER: Slide up.

4 A quick answer to the question on
5 preclinical, here is a snapshot of our current tox
6 package that supported the IND, and it is fairly
7 standard. We have rat and monkey as the chosen
8 species, and we have the usual 28-day dose ranging
9 stuff, 42. We have a range of safety pharmacology
10 studies looking at specific questions, like hERG
11 issues, motility, neurobehavioral even. I
12 mentioned those rotarod experiments that we
13 conducted. We tried to explore the full range of
14 CNS effects.

15 Just to put that in some developmental
16 framework -- next slide -- the 28-day study, as I
17 just listed, if you look at the age of the animals,
18 the rats, those are actually equivalent to age 12,
19 so not perfect. I guess it does qualify as, quote,
20 "juvenile," but not younger.

21 Can you switch these slides?

22 We noted a literature, where some folks in

1 this room may have had a role, where the FDA
2 recently tackled this question. They
3 concluded -- I just defer to the grace and wisdom
4 of FDA on this topic, but the ICH S9 suggested that
5 juvenile animal experiments did not meaningfully
6 contribute to an understanding of risk in human
7 patients. They didn't provide useful information.
8 They didn't affect first pediatric dose and that
9 really it was longitudinal follow-up which was
10 going to be the ultimate test of the pediatric
11 safety question that we all care about.

12 Can I have the other slide back?

13 However, we recently engaged with European
14 regulators, and they felt slightly differently on
15 this topic. So we are going to conduct some
16 studies in younger animals. We have an additional
17 toxicology study planned that will begin at 7 days
18 old in the rat and being dosed through 56 days of
19 age, which is equivalent to human years of neonate
20 through young adult.

21 We are going to look specifically at issues
22 that affect pediatric health, like bone length,

1 reproductive endpoints, behavioral issues, and, of
2 course, have histopathology in there, too.

3 DR. PAPPO: Thank you.

4 Dr. Reaman?

5 DR. REAMAN: I am glad Dr. Glade Bender
6 asked the juvenile animal, because that was the
7 question I was actually going to ask. Although we
8 feel that routine juvenile animal toxicity studies
9 are probably not necessary, I think in this
10 particular case, given the potential for
11 developmental biology and neurobiology, we would
12 have probably suggested that it be done here, also.
13 So I am glad to hear that you are actually doing
14 it.

15 I wanted to just ask about, there have been
16 TRK fusions seen, although they may not be along
17 with other known oncogenic drivers in hematologic
18 malignancies, but do you have any plans to explore
19 this product, the activity of this product in heme
20 malignancies, as well?

21 DR. BILENKER: We want to go where the
22 biology leads up, in general.

1 Slide up.

2 We are aware of this study, which you
3 probably are, as well, where patients with
4 BCA-negative AML, there was a gene expression
5 study, and 44 different rearrangements were
6 observed. There was a single patient with an ETV6
7 and TRK3 fusion cancer.

8 Some of our co-investigators are interested
9 in this topic, especially in the setting of
10 pediatrics. I believe there is also an adult case
11 report of an AML patient in the literature, but I
12 haven't seen it reproduced or confirmed.

13 The way we are handling the question is to I
14 guess come to you when we've identified a patient
15 rather than to create a standalone trial. We are
16 exploring other ways to answer the pediatric or, I
17 should say, liquid tumor question for this target.

18 DR. PAPP0: Thank you.

19 Dr. Warren?

20 DR. WARREN: I would like to circle back to
21 the CNS issue, because I am not sure if the
22 pharmacokinetic and toxicity profile is an obstacle

1 or advantageous or actually maybe even exciting for
2 a disease entity like DIPG.

3 My first question is, are the toxicities,
4 particularly neurotoxicities, reversible and
5 quickly reversible?

6 The second question is, have any of your
7 preclinical studies looked at administration of the
8 agent directly into the CNS?

9 DR. BILENKER: The toxicities do seem
10 reversible. When patients have had dizziness or
11 ataxia or things like that, they do seem to
12 reverse.

13 Slide up.

14 This is the safety data we presented at AACR
15 in April from our phase 1 adult study, where we
16 focus on 100 milligrams BID, and we have also all
17 patients. You will see that we have some dizziness
18 on there. We have a delirium down near the bottom.
19 In all those cases, there did seem to be a temporal
20 relationship, and it did reverse.

21 But again, most patients did not report
22 this, and this is true of our phase 2 and phase 1

1 studies and peds included. We are not seeing this
2 as a dose-limiting issue whatsoever. It almost
3 seems idiosyncratic in the way it comes out, and
4 there is often intercurrent variables which suggest
5 that it may not even be the drug. But we are
6 capturing that.

7 No, we haven't looked at the direct
8 administration of the drug into the brain.

9 DR. PAPPO. Thank you.

10 DR. MacDONALD: Tobey MacDonald. You
11 mentioned TRK fusions were observed in 40 percent
12 of high-grade glioma in less than 3-year-olds.
13 Have they been, to your knowledge, detected in
14 older patients as well?

15 DR. BILENKER: I believe there is literature
16 saying yes, there is, but we -- slide up.

17 Here is what we know about the literature,
18 and I am sure you guys know this better than we do.
19 I guess this is really focused on pediatric
20 patients, and your question was, I guess, focused
21 on adults.

22 But we have not seen, in our experience,

1 patients reported with this entity. But it has
2 been described in the literature.

3 DR. MacDONALD: In that regard, in those
4 less than 3 years of age in which there is no
5 universally accepted treatment, since radiation is
6 not typically an option, is there consideration for
7 upfront infants with TRK fusions?

8 DR. BILENKER: Meaning if --

9 DR. MacDONALD: To enroll in the trial. So
10 if you recognize a TRK fusion patient at diagnosis
11 rather than do courses of chemotherapy, which in
12 most cases have failed.

13 DR. BILENKER: The issue we struggle most
14 with in that disease setting is the lack of a
15 tissue-confirmed biopsy of a TRK fusion. They are
16 hard to get, and some investigators are persistent.
17 Some might pull it off, but we often find ourselves
18 in an empiric setting where we have a non-tissue
19 confirmed patient, where I think the risk-benefit
20 analysis may preclude what you are suggesting.

21 But when we know there is a TRK fusion
22 present, given the consistent efficacy we have seen

1 thus far, we will really do anything we can with
2 the investigator and the agency to figure out how
3 to get drug access for the patient, if that is what
4 everybody feels is best.

5 We are completely supportive of the clinical
6 judgment that wants to do that, but it has been the
7 tissue confirmation that has been tricky in DIPG.

8 DR. MacDONALD: Just a last practical
9 question. Is the liquid formulation compatible
10 with NG2 gastric tubes?

11 DR. BILENKER: Yes.

12 DR. PAPPO: Thank you.

13 One final question. In the pediatric
14 patients that have responded to your drug, has
15 there been any correlation between the PK
16 parameters and the expected dose for the adults
17 that are 100 BID, or are they all over the place?
18 Are you seeing responses at lower levels, and is it
19 really necessary to keep increasing the dose,
20 following up on your question?

21 DR. BILENKER: I will cheat a little bit and
22 answer you. I am not supposed to.

1 But yes, we have. We have seen responses at
2 lower exposures than we expected.

3 **Questions to the Subcommittee and Discussion**

4 DR. PAPPO: Thank you. I think we are done
5 with the questions. Thank you very much.

6 There are no OPH speakers. We will now
7 proceed with the questions to the committee and
8 panel discussions.

9 I would like to remind public observers that
10 while this meeting is open for public observation,
11 public attendees may not participate except at the
12 specific request of the panel.

13 Let's start with question number 1.

14 DR. OSGOOD: Please consider the ongoing
15 pediatric study and provide an opinion regarding
16 the overall study design.

17 DR. PAPPO: If there are no questions or
18 comments concerning the words or the question, we
19 will now open the question for discussion.

20 Dr. Weigel?

21 DR. WEIGEL: Brenda Weigel. I think the
22 design is really trying to take into account this

1 targeting of the optimal dose, which I think is an
2 attempt, and I applaud the attempt, to balance
3 toxicity versus optimizing responsiveness.

4 I think I would encourage some real thought
5 to how that dose is being defined and what
6 parameters are being put around that based on the
7 adult data, as well as toxicity data. It may be
8 that we don't define a traditional maximally
9 tolerated dose, but I am not sure I understand
10 completely yet how we are defining the optimal
11 biologic dose or the targeted dose range by
12 pharmacokinetics. So I would encourage real
13 thought behind the decision-making for dose
14 selection.

15 DR. PAPP0: Thank you.

16 Dr. Glade Bender?

17 DR. GLADE BENDER: I am not sure I have the
18 answer to this either, but it seems that this is
19 such a rare disease entity that maybe we should be
20 learning more about dose and PK by patient than
21 cohort, meaning that I think one could start at a
22 dose that we think might work and do the

1 intrapatient dose escalation, if there is any
2 concern about the first round of PK or any concern
3 that the response is not adequate, and learn about
4 the different PK by dosing by patient rather than
5 trying to fill sequential cohorts. Because I just
6 think that it will take a very long time to get to
7 the right dose per patient.

8 I also think that I'm not sure that more is
9 better for those who would have responded to a
10 lower dose. I think when we are dealing with a
11 targeted agent that seems to be very efficacious
12 for patients who harbor these translocations, maybe
13 the trial design is an intrapatient escalation
14 design and not a cohort design.

15 DR. PAPPO: Thank you.

16 Dr. Reaman?

17 DR. REAMAN: Can I just ask if the real-time
18 PK -- how complicated is that and how realistic is
19 it to think that it is something that could be done
20 in every center environment? Is it something that
21 would have to be done centrally? Could it be done
22 at selected sites? Any special handling of the

1 blood once it is drawn for PK?

2 DR. BILENKER: The phase 1 pediatric trial
3 is practically functioning like an inpatient
4 dose escalation design. We have a reliable PK
5 method. We are performing it centrally. We tend
6 to get results back within days and analyzed within
7 days.

8 I think we are seeing what we struggle with
9 is what are the labeling implications of this kind
10 of approach, and ultimately it would be nicer to be
11 able to define either a fixed dose or a
12 weight-based dose eventually that corresponds to
13 the exposures associated with efficacy.

14 We still hope that that is possible. We
15 would be more than happy to explore an alternate
16 route of development if that seems best for
17 patients and the agency.

18 DR. PAPPON: Thank you.

19 Dr. Neville?

20 DR. NEVILLE: I had a follow-up to that, and
21 forgive me if I missed it. Are you following AUC
22 above the IC50, or what are we trying to correlate

1 with response? It didn't sound like the PK was
2 that tight. So what are you proposing that the
3 real-time PK is going to achieve?

4 DR. BILENKER: The PK is fairly tight for an
5 oral kinase inhibitor. It is actually fairly
6 typical, which makes it not tight, which is 5X
7 variability across populations of patients. In
8 pediatrics, you throw in the differences in CYP3A
9 access and weight. We haven't noticed that it is
10 much different, however, in peds versus adults.

11 What we really do is descriptive. Our PK
12 modeling is descriptive, and we plot both. We plot
13 Cmax, we plot AUC, and we plot just time over
14 curve. Then we overlay our most conservative model
15 of tumor response preclinically, which are those
16 IC90, IC50 lines I have showed in a couple of
17 slides.

18 But we have seen, quite honestly, such a
19 range of doses delivering very robust activity that
20 it is hard to make a specific recommendation other
21 than to say your patient is coming in, quote, "low"
22 relative to other levels we have seen as safe and

1 effective, but your patient is doing well. So
2 there is this clinical impact conundrum of what
3 does it mean.

4 That is an analysis that we think a doctor
5 is best able to make, not the company, but yes, we
6 just provide the information.

7 DR. NEVILLE: Then I would question the
8 utility of your real-time PK. Is it really giving
9 what you need versus just doing classic PK over
10 time and modeling and looking at response, because
11 it does not sound like your PK is correlating with
12 response or toxicity necessarily?

13 DR. BILENKER: We are only six months into
14 this pediatric trial. When we started, we
15 certainly did not know how we would do, and we
16 thought real-time PK was the safest way to at least
17 ensure safety so we didn't overshoot or preserve
18 efficacy in case we dramatically undershot. So we
19 felt like this was a protocol element that would
20 protect us a bit, and the investigators seemed to
21 embrace it.

22 I think you are right, though. As we gather

1 more information and the PK/PD relationship or
2 PK-efficacy relationship may be wider or more
3 elastic than is typical for most drugs, it might
4 give us the high-class problem of choosing among
5 lower doses.

6 But to us, it is a speculative exercise.
7 The thing we care most about really is durable
8 efficacy, and the durable efficacy part is only
9 known over time. It is a drug development
10 conundrum. What do you do? You won't know for
11 even months or years what you may have left on the
12 table, and given the rarity of these patients, it
13 is hard to really be perfect about it.

14 We are just trying to, therefore, give what
15 doses we know are safe and then to let clinicians
16 weigh in on what balance of safety versus durable
17 efficacy trade they want to make.

18 DR. GLADE BENDER: I just want to go back,
19 because I think I left one of my questions on the
20 table.

21 Do you have any evidence of chronic
22 toxicity, because I have noticed that one of the

1 patients on the study was started at 150 BID and
2 actually went down to 100 BID? And at least with
3 the other kinases that we have studied, that seems
4 to be a problem that they can tolerate the higher
5 dose for a short period of time, but then they have
6 to go down anyway.

7 DR. BILENKER: We have seen no evidence of
8 chronic toxicity rising up later, and the phase 2
9 will be the best measure of that where we are
10 hopefully following patients for a long period of
11 time, whereas the long clock runs, we will get a
12 better sense of that.

13 I think that particular patient had some
14 kind of intercurrent AE that was probably unrelated
15 to the study drug. We dose de-escalated,
16 restarted, and there were no issues. But I
17 wouldn't overread any one of these patients. It is
18 a fairly complicated fact pattern in most cases.

19 But again, we are not seeing any trend
20 whatsoever in our adult phase 2. We have had
21 patients on since October, and then all of our
22 responders in the phase 1 setting they are on, so

1 we have patients now, as you saw, well into the
2 one-year mark.

3 DR. PAPP0: Just a comment to try to please
4 limit your comments to the question.

5 I am going to try to summarize this, and it
6 is going to be complicated. So I am going to need
7 a little bit of help.

8 (Laughter.)

9 DR. PAPP0: First of all, I think that the
10 committee was extremely impressed. It was a very,
11 very lucid presentation. We are also extremely
12 excited that you are bringing this agent for our
13 very rare subgroup of pediatric tumors and that
14 there is a lot of interest in conducting phase 1
15 studies in pediatrics early on.

16 One of the questions that the committee is
17 struggling with is how to best define the optimal
18 dose for this group of patients and how to identify
19 the optimal biological dose for these patients.
20 Although we do not have an answer, one possibility
21 would be to do basically inpatient dose
22 escalations but get your dose and PK based on

1 individual patients, not on cohorts of patients.

2 Also, it is unclear what the utility of
3 real-time PK is in these patients, given the fact
4 that responses have been observed and that is
5 unclear whether increasing the dose of certain
6 number of patients will increase the efficacy and
7 potentially could increase toxicity.

8 I think most of the questions on chronic
9 toxicity and neurological toxicity have been
10 answered very, very clearly.

11 Did I leave anything out?

12 DR. WEIGEL: (Inaudible - off mic.)

13 DR. PAPPO: Thank you.

14 We will now move to question number 2.

15 DR. OSGOOD: Please consider the toxicity
16 profile of Loxo-101 in adults and discuss whether
17 there are unique safety concerns related to
18 potential short- and long-term toxicities from the
19 use of Loxo-101 in pediatric patients.

20 Also, discuss potential ways to mitigate
21 these risks.

22 DR. PAPPO: If there are no questions or

1 comments concerning the wording or the question, we
2 will now open the question for discussion.

3 Dr. Reaman?

4 DR. REAMAN: I want to say it is encouraging
5 to hear that the safety profile actually sounds
6 pretty good, given the target that is being
7 inhibited by this product. My only concern would
8 be in very young children, and I think there are
9 plans to slowly enter that space. I think it is a
10 space that has to be entered, because my experience
11 with infantile fibrosarcoma has always been in
12 babies under 6 months of age.

13 I think there is a very unique opportunity
14 there, provided the juvenile animal tox studies
15 permit, to really look at the issue of PK and
16 inpatient dose escalation and toxicity.

17 DR. PAPPO: Thank you.

18 Any other comments or questions?

19 Yes, Dr. Weigel?

20 DR. WEIGEL: I applaud the effort to
21 systematically collect very detailed neurotoxicity
22 data and would encourage you to really be detailed

1 and focused on that, and that is going to be a very
2 important part of the contribution for deciding on
3 dose.

4 DR. PAPPO: Julia?

5 DR. GLADE BENDER: I was going to say what
6 Brenda said.

7 (Laughter.)

8 DR. PAPPO: Yes, Dr. Armstrong?

9 DR. ARMSTRONG: Just the observation that
10 you treated a 16-month-old with the adult dose
11 would suggest that you probably got higher levels
12 in that infant than you are getting in the adult
13 and that it is still biologically active in the
14 adult at 100 milligrams BID. That issue of MTD
15 versus biological dose I think is actually one that
16 we would hope you would continue to explore in both
17 the adult and pediatric populations.

18 DR. PAPPO: Any additional comments or
19 questions?

20 Yes, Dr. Neville?

21 DR. NEVILLE: I can just say, to reiterate
22 what has been discussed previously, we should be so

1 lucky as to have to live with the long-term
2 toxicities. I think as you dose optimize, we will
3 have to figure out what they are, but we accept a
4 lot of toxicity for saving lives. So I don't know
5 that we can discuss mitigation of that yet.

6 DR. PAPPO: Thank you.

7 The committee feels that the safety profile
8 of the drug appears to be very favorable. This
9 protocol offers a unique opportunity to study not
10 only the activity but the pharmacokinetics and the
11 short- and long-term toxicities of this drug in a
12 unique group of patients, which are young children
13 afflicted with tumors such as infantile
14 fibrosarcoma or hemangiopericytoma.

15 We also encourage you to keep looking at the
16 long-term effects of this drug, specifically
17 neurotoxicity, and the issue of how to better
18 define the dose of this drug for this group of
19 patients, whether it is MTD uptake or biological
20 dose or other, needs to continue to be explored.

21 Does that pretty much sum it up?

22 Okay. We'll go to question number 3.

1 DR. OSGOOD: Please consider the necessity
2 for an international collaborative study, given the
3 very rare cancers for which Loxo-101 may prove
4 relevant.

5 DR. PAPP0: If there are no questions or
6 comments concerning the wording or the question, we
7 will now open the question to discussions.

8 Yes, Dr. Brown?

9 DR. BROWN: Can I ask how prevalent is this
10 testing that's required to detect this lesion in an
11 international setting? It is a question, not a
12 statement, and I don't know if anybody has the
13 answer. But that is what I'm wondering.

14 DR. REAMAN: It is probably more prevalent
15 in Europe than it is here, I would think.

16 DR. BROWN: Just to follow up then, I think
17 it would be very relevant to include the national
18 sites.

19 (Laughter.)

20 DR. PAPP0: Probably with a phase 2, I
21 think.

22 Julia?

1 DR. GLADE BENDER: I was going to say, we
2 should state the obvious. Of course, with a rare
3 entity, we should encourage international
4 collaboration. And I think with other countries
5 that have nationalized health systems, your
6 likelihood of getting generalized testing is
7 probably higher.

8 DR. PAPPO: Thank you.

9 Yes, Dr. MacDonald?

10 DR. MacDONALD: Just to follow up on that,
11 outside of a formal consortium, I would seek early
12 discussions from pediatric leadership as to what
13 sites have the volume and the ability to do this
14 type of testing that you seek. I don't think it is
15 intuitive necessarily, and it may not be based on
16 the adult centers in terms of their pediatric
17 volumes and ability to do testing. So I would get
18 early insight into that.

19 DR. PAPPO: Dr. Reaman?

20 DR. REAMAN: I think that is a good point,
21 but I think there is also a role for centralized
22 testing. It is possible that the patients are

1 going to come from multiple centers, but having a
2 centralized resource that can do the kind of
3 testing that is required is certainly another
4 option and perhaps a better option, given the
5 rarity of these tumors?

6 DR. PAPPO: Yes?

7 MS. MCMILLAN: Gigi McMillan, patient
8 representative. Along those lines, I think that it
9 could be a novel consideration or even unexpected
10 for a parent to think that once their child is
11 diagnosed with cancer, they have to have complete
12 genomic profiling.

13 While I understand that this agent would
14 benefit from that kind of routine testing, I think
15 that somewhere in our comments we have to address
16 the fact that it can be surprising for a parent to
17 realize that at the last moment or at this moment
18 of diagnosis that, okay, we are going to have a
19 complete test done, because there are all the usual
20 genetic questions: who is going to hold the data;
21 how is it safeguarded?

22 That kind of a decision is separate or that

1 kind of consideration, reflection is separate than
2 what is the best thing for my child. I feel like
3 we need to put that in our comments.

4 DR. PAPPO: Thank you.

5 Any additional comments?

6 (No response.)

7 DR. PAPPO: The committee supports
8 international collaboration, especially if you are
9 going to move this into subgroups of patients with
10 very rare tumors. We also encourage you to explore
11 the availability of genomic testing in specialized
12 sites, especially in Europe, or consider the
13 possibility of centralized testing.

14 Anything else?

15 (No response.)

16 DR. PAPPO: We will now move to question
17 number 4.

18 DR. OSGOOD: Please comment on the adequacy
19 of the current pediatric formulation and any plans
20 for evaluation of the pediatric formulation.

21 DR. PAPPO: If there are no questions or
22 comments concerning the wording or the question, we

1 will now open the question for discussion.

2 Brenda?

3 DR. WEIGEL: I think the formulation seems
4 very appropriate, and there is a liquid
5 formulation, allowing dosing in very small
6 children, which is a significant advantage.

7 DR. PAPPO: Thank you.

8 Any other comments?

9 (No response.)

10 DR. PAPPO: The committee feels that you
11 have the appropriate formulation. It is an
12 advantage to be able to give it to young patients.

13 Thank you.

14 The final question, question number 5.

15 DR. OSGOOD: Please comment on the clinical
16 availability and utility of NTRK fusion
17 identification in current pediatric oncology
18 practice.

19 DR. PAPPO: If there are no questions or
20 comments concerning the wording or the question, we
21 will now open the question for discussion.

22 I think this is an issue that we have been

1 discussing throughout the whole thing and the pros
2 and the cons of different platforms, whether it is
3 FoundationOne or whether it is IHC or whether it is
4 a specific PCR. There are very, very few centers
5 that have routinely more comprehensive testing,
6 such as whole genome sequencing or exome
7 sequencing.

8 The issue with whole genome sequencing is
9 that you need fresh tumor. The issue with exome
10 sequencing is that sometimes it is not paired with
11 RNA-seq. Sometimes you only do the tumor. You
12 don't do the germline.

13 I think there is a lot of variability with
14 this, and I really do not know what the common
15 practice is. Most of the time when you get a
16 patient with infantile fibrosarcoma, by default,
17 you look for the fusion by PCR or you just do FISH,
18 but as you said before, there are so many variables
19 that you might be missing, novel partners.

20 I don't know what the best way is to address
21 this issue.

22 Dr. Reaman?

1 DR. REAMAN: I would think that maybe some
2 of it should be diagnosis dependent. I think
3 looking for NTRK-expressing tumors is one thing,
4 but then having specific diagnoses where we know
5 that the incidence is increased, I think then doing
6 whatever specialized test looking for it would
7 certainly be something that would be clinically
8 almost standard of care.

9 But I do agree with Ms. McMillan's point.
10 But I think we are really entering a whole new
11 phase of cancer therapy, looking at specific gene
12 expression and molecular phenotype of tumors to
13 predict potential therapies both at diagnosis in
14 certain situation and certainly in early phase
15 settings, looking for appropriate targeted
16 therapies. But it does come with considerations
17 and questions that patients and families really do
18 have to consider and address.

19 DR. PAPPO: Thank you.

20 Julia?

21 DR. GLADE BENDER: I actually want to second
22 what Dr. Reaman said about diagnosis-driven

1 comprehensive testing, because as I was thinking
2 about it, I think that two of the large series that
3 have been recently published, both out of Michigan
4 and that of the iCAT Dana Farber Consortium
5 multicenter study, both of those studies, it was
6 this diagnosis of infantile fibrosarcoma where they
7 found novel NTRK fusion patients.

8 I think that the diagnosis-driven idea is an
9 excellent one.

10 DR. PAPPO: Yes, Dr. Warren?

11 DR. WARREN: I think we need to consider
12 making it mandatory that these be done in a
13 CLIA-certified lab and having them validated in a
14 second laboratory.

15 DR. PAPPO: Any additional comments or
16 questions?

17 (No response.)

18 DR. PAPPO: Regarding this question, we
19 believe that certainly certain histologies in which
20 you expect to have an NTRK fusion, this should be
21 pursued thoroughly. It might be diagnostic
22 specific, and this has to be done in a

1 CLIA-certified lab.

2 The issue of genomic testing either at the
3 time of diagnosis or relapse, it really depends a
4 lot on independent institutions, but we strongly
5 encourage that at least if you have any of the
6 diagnoses that you have shown that have a high
7 probability of having an NTRK fusion, that this
8 should be pursued very thoroughly.

9 Anything else?

10 (No response.)

11 DR. PAPP0: We are going to take a break
12 now. Let me read so it sounds very official. We
13 will now take a between 15- and 20-minute break.

14 Panel members, please remember that there
15 should be no discussion of the meeting topic during
16 the break amongst yourselves or with any member of
17 the audience.

18 We will resume at 10:00 in the morning.

19 Thank you very much.

20 (Whereupon, at 9:43 a.m., a recess was
21 taken.)

22 DR. PAPP0: We are going to get started.

1 Steve, can you state your name for the
2 record?

3 DR. DUBOIS: Steve DuBois. Dana Farber
4 Boston Children's.

5 DR. PAPP0: Thank you.

6 We will now proceed with topic 2,
7 entrectinib from Ignyta, Inc. Dr. Lauren Tesh will
8 read the conflict of interest statement for this
9 session.

10 **Conflict of Interest Statement**

11 DR. TESH: The Food and Drug Administration
12 is convening today's meeting of the Pediatric
13 Subcommittee of the Oncology Drugs Advisory
14 Committee under the authority of the Federal
15 Advisory Committee Act of 1972.

16 With the exception of the industry
17 representative, all members and temporary voting
18 members of the committee are special government
19 employees or regular federal employees from other
20 agencies and are subject to federal conflict of
21 interest laws and regulations.

22 The following information on the status of

1 this committee's compliance with federal ethics and
2 conflict of interest laws covered by, but not
3 limited to, those found at 18 U.S.C. Section 208 is
4 being provided to participants in today's meeting
5 and to the public.

6 FDA has determined that members and
7 temporary voting members of this committee are in
8 compliance with federal ethics and conflict of
9 interest laws under 18 U.S.C. Section 208.
10 Congress has authorized FDA to grant waivers to
11 special government employees and regular federal
12 employees who have potential financial conflicts
13 when it is determined that the agency's need for a
14 special government employee's services outweighs
15 his or her potential financial conflict of interest
16 or when the interest of a regular federal employee
17 is not so substantial as to be deemed likely to
18 effect the integrity of the services which the
19 government may expect from the employee.

20 Related to the discussions of today's
21 meeting, members and temporary voting members of
22 this committee have been screened for potential

1 financial conflicts of interest of their own, as
2 well as those imputed to them, including those of
3 their spouses or minor children and, for purposes
4 of 18 U.S.C. Section 208, their employers.

5 These interests may include investments,
6 consulting, expert witness testimony, contracts,
7 grants, CRADAs, teaching, speaking, writing,
8 patents and royalties, and primary employment.

9 This session's agenda involves information
10 to gauge investigator interest in exploring
11 potential pediatric development plans for five
12 products in various stages of development for adult
13 cancer indications. The subcommittee will consider
14 and discuss issues concerning diseases to be
15 studied, patient populations to be included, and
16 possible study designs in the development of these
17 products for pediatric use.

18 The discussion will also provide information
19 to the agency pertinent to the formulation of
20 written requests for pediatric studies, if
21 appropriate.

22 The product under consideration for this

1 session is entrectinib, presentation by Ignyta,
2 Inc. This is a particular matters meeting during
3 which specific matters related to Ignyta's product
4 will be discussed.

5 Based on the agenda for today's meeting and
6 all financial interests reported by the committee
7 members and temporary voting members, conflict of
8 interest waivers have been issued in accordance
9 with 18 U.S.C. Section 208(b)(3) to Drs. Pappo and
10 DuBois.

11 Dr. Pappo's waiver involves his employer's
12 current study with the potentially affected firm
13 and product anticipated to be between 50,000 and
14 100,000 per year in funding.

15 Dr. DuBois' waiver involves his employer's
16 current study of entrectinib funded by Ignyta which
17 is estimated to be between 0 and \$50,000 per year
18 in funding. Dr. DuBois' waiver also involves his
19 employer's current study with a potentially
20 affected firm estimated to be 0 to 50,000 per year
21 in funding. Lastly, Dr. DuBois' waiver involves
22 his consulting agreement with a potentially

1 affected firm, which he receives between 0 and
2 \$15,000 per year.

3 The waivers allow these individuals to
4 participate fully in today's deliberations. FDA's
5 reasons for issuing the waivers are described in
6 the waiver documents, which are posted at the FDA's
7 website. Copies of the waivers may also be
8 obtained by submitting a written request to the
9 agency's Freedom of Information Division at 5630
10 Fisher's Lane, Room 1035, Rockville, Maryland
11 20857, or a request may be sent via fax at
12 301-827-9267.

13 To ensure transparency, we encourage all
14 standing committee members and temporary voting
15 members to disclose any public statements that they
16 have made concerning the product at issue.

17 With respect to FDA's invited industry
18 representative, we would like to disclose that
19 Dr. P.K. Morrow is participating in this meeting as
20 a nonvoting industry representative acting on
21 behalf of regulated industry. Dr. Morrow's role at
22 this meeting is to represent industry in general

1 and not any particular company. Dr. Morris is
2 employed by Amgen.

3 We would like to remind members and
4 temporary voting members that if the discussions
5 involve any other products or firms not already on
6 the agenda for which an FDA participant has a
7 personal or imputed financial interest, the
8 participants need to exclude themselves from such
9 involvement, and their exclusion will be noted for
10 the record.

11 FDA encourages all other participants to
12 advise the committee of any financial relationships
13 that they might have with the firm at issue.

14 Thank you.

15 DR. PAPPO: Thank you.

16 Both the Food and Drug Administration and
17 the public believe in a transparent process for
18 information gathering and decision-making. To
19 ensure such transparency at the advisory committee
20 meeting, FDA believes that it is important to
21 understand the context of an individual's
22 presentation.

1 For this reason, FDA encourages all
2 participants, including the sponsor's non-employee
3 presenters, to advise the committee of any
4 financial relationships that they may have with the
5 firm at issue such as consulting fees, travel
6 expenses, honoraria, and interest in the sponsor,
7 including equity interests and those based upon the
8 outcome of the meeting.

9 Likewise, FDA encourages you, at the
10 beginning of your presentation, to advise the
11 committee if you do not have any such financial
12 relationships. If you choose not to address this
13 issue of financial relationships at the beginning
14 of your presentation, it will not preclude you from
15 speaking.

16 We will now proceed with the sponsor's
17 presentation.

18 **Industry Presentation - Pratik Multani**

19 DR. MULTANI: Thank you.

20 Good morning, Pediatric Advisory Committee
21 members, FDA representatives, ladies and gentlemen.
22 I am Pratik Multani, and I serve as Ignyta's chief

1 medical officer. It is my pleasure to represent my
2 colleagues here today to summarize our clinical
3 development program of entrectinib, as well as our
4 pediatric development plans.

5 During this presentation, I will provide an
6 introduction to entrectinib, including a summary of
7 our extensive preclinical data in phase 1 adult
8 clinical experience. I will then review our
9 rationale for pediatric development, followed by
10 the design of our ongoing phase 1/1b pediatric
11 clinical trial.

12 Entrectinib is a small molecule tyrosine
13 kinase inhibitor with cellular activity against the
14 five receptor targets listed here, TRKA/B/C, ROS1,
15 and ALK. It has biochemical potencies against
16 these targets that are in the single digit
17 nanomolar or picomolar range.

18 These proteins are encoded by the five
19 genes, NTRK1/2/3, ROS1, and ALK, respectively,
20 which can become oncogenic drivers when rearranged
21 or otherwise activated in a constitutive fashion.

22 Entrectinib also demonstrates inhibitory

1 activity against most of the known TRK-resistant
2 mutants. Entrectinib was specifically designed to
3 cross the blood-brain barrier, giving it the
4 potential to treat primary and metastatic brain
5 tumors, which is a common complication of many
6 cancers, including pediatric cancers.

7 We have tested entrectinib in a large series
8 of preclinical xenograft and patient-derived
9 xenograft models. Depicted here are four
10 representative models demonstrating the ability of
11 entrectinib to achieve profound tumor growth
12 inhibition, including regression in models of
13 NTRK1, NTRK3, ROS1, and ALK gene rearrangements.

14 Pediatric development and providing early
15 access has been an integral part of our plan from
16 the outset of this program. In particular, in
17 neuroblastoma where overexpression rather than gene
18 rearrangements may be an onco driver, we evaluated
19 the potential of entrectinib across multiple models
20 systems.

21 ALK has already been recognized as a
22 potential therapeutic target in neuroblastoma, and

1 here are in vitro data in a model of neuroblastoma
2 characterized by ALK overexpression, showing
3 inhibition of cellular proliferation with
4 entrectinib treatment.

5 Through our collaboration with Children's
6 Hospital of Philadelphia, we expanded the potential
7 target list to neuroblastoma by exploring TRKB
8 overexpression as an independent onco driver.
9 Autocrine activation of the TRKB BDNF pathway has
10 been reported in 50 to 60 percent of high-risk
11 neuroblastoma cases, and TRKB overexpression
12 appears to occur in the majority of patients and is
13 associated with invasion, metastasis, and chemo
14 resistance.

15 Here, you see the ability of entrectinib to
16 inhibit tumor growth versus control and extend
17 event-free survival in a model of neuroblastoma
18 employing a cell line driven by overexpression of
19 TRKB. Of note, the specific model system was
20 selected because it is not responsive to ALK
21 inhibition. Similar results were obtained in three
22 other TRKB expression driven neuroblastoma models.

1 We acknowledge that entrectinib in
2 combination with standard chemotherapy agents, it
3 is important to explore in addition to single agent
4 treatment. For example, we have observed additive
5 activity of entrectinib when paired with irinotecan
6 and temozolomide in the same TRK-driven preclinical
7 model of neuroblastoma.

8 Together this preclinical package forms the
9 basis of our interest in taking entrectinib into
10 neuroblastoma, among other TRK-driven malignancies
11 in children.

12 Here, we have the preclinical data that
13 support the potential of entrectinib to penetrate
14 into the CNS and treat primary and metastatic CNS
15 disease. Entrectinib demonstrated penetration into
16 the brain in all three nonclinical species tested.
17 It was highest in dogs, where brain levels exceeded
18 blood levels.

19 On the bottom in this preclinical model of
20 metastatic disease using outdriven, non-small cell
21 lung cancer cells, a 10-day treatment with
22 entrectinib limited tumor growth and extended

1 survival.

2 In terms of its nonclinical profile,
3 entrectinib is highly plasma protein bound. It is
4 cleared primarily through the liver. In
5 nonclinical toxicology studies, CNS-related effects
6 were seen in both species studied.

7 Rats exhibited incoordination and decreased
8 activity, while dogs, the species with the highest
9 brain exposure, exhibited incoordination, tremors
10 and hypoactivity. These effects, however, were all
11 reversible, and no histopathological findings were
12 seen in the brain of either species or in the
13 dorsal root ganglia of dogs.

14 Overall, based upon toxicology studies, all
15 adverse effects observed in humans were identified
16 in nonclinical species, and standard clinical
17 monitoring using clinical findings, ECG, and lab
18 values has, therefore, be deemed adequate
19 monitoring for adults in our ongoing studies.

20 Let's now turn to the clinical and
21 regulatory program. The first-in-human-trial
22 ALKA-372-001 was initiated in Italy. Subsequently,

1 the STARTRK-1 trial, another Phase 1 study, was
2 initiated in the United States.

3 Since then, multiple orphan designations
4 have been granted, the first being our orphan
5 designation and rare pediatric designation in
6 neuroblastoma in late 2014. The EMA also granted
7 orphan designation for neuroblastoma in late 2015.

8 As I stated previously, pediatric
9 development and providing early access has been a
10 priority for us. So shortly after we identified
11 the recommended phase 2 dose, the adult global
12 phase 2 study, STARTRK-2, began in September of
13 last year, which was quickly followed by the
14 initiation of our pediatric phase 1/1b STARTRK
15 Next-Generation trial.

16 (Laughter.)

17 DR. MULTANI: Now, to summarize our adult
18 clinical experience. Ignyta has conducted two
19 concurrent phase 1 studies in adults with advanced
20 solid tumors, which collectively explored regimen
21 and dose in order to arrive at an
22 empirically-derived optimal human dose and dosing

1 schedule.

2 In view of the neuronal biology of TRK
3 receptors, the first in human ALKA study initially
4 studied an intermittent dosing schedule. After
5 completing full dose escalation without
6 dose-limiting toxicity, the ALKA study then
7 proceeded to evaluate a continuous dosing regimen,
8 which is now the preferred dosing schedule due to
9 consistent target coverage and acceptable safety,
10 as I will show in a few minutes.

11 From this study, as of a data cutoff of
12 March 7th, 2016, we have enrolled 54 patients from
13 two centers in Italy. The second study, STARTRK-1,
14 began with continuous dosing and as of the same
15 date of cutoff, we enrolled 65 patients. Combined,
16 this represents a total clinical experience of 119
17 adult patients in the phase 1 setting alone.

18 Through this experience, we identified 400
19 milligrams per meter squared as the BSA-based
20 recommended phase 2 dose in adults followed by 600
21 milligrams fixed dose, which has now been
22 established as the recommended phase 2 dose of

1 entrectinib in adults on a once-a-day continuous
2 dosing schedule.

3 The majority of patients enrolled in these
4 two studies did not have the gene rearrangements of
5 the targets of entrectinib. So most of the
6 patients would not be considered candidates for
7 response to entrectinib treatment. We did,
8 however, enroll 25 patients who had gene
9 rearrangements of NTRK, ROS1, or ALK who were naive
10 to prior treatment with a TRK, ROS1, or ALK
11 inhibitor and were treated at or above the
12 recommended phase 2 dose.

13 The efficacy evaluation that I will present
14 later will focus on these 25 patients, 24 of whom
15 had extracranial solid tumors and one with a
16 primary brain tumor.

17 This slide presents the most frequent all
18 causality adverse events across all 119 patients,
19 as well as the most frequent treatment-related
20 adverse events. It includes patients who received
21 entrectinib above the recommended phase 2 dose.

22 As you can see, the majority of adverse

1 events are grade 1 or 2 in severity, with only a
2 few grade 3 or 4 adverse events. These events,
3 when associated with entrectinib, were reversible
4 in all cases. Many are attributable to on-target
5 TRK inhibition, such as dysgeusia and parathesias.

6 Acknowledging the brain penetrant properties
7 of entrectinib, you will notice that the toxicities
8 clearly attributable to the CNS are largely absent
9 from both lists, with the exception of grade 1 or 2
10 dizziness in 19 percent of patients in the all
11 causality column.

12 In addition, we have seen no evidence of
13 cumulative toxicity, hepatic, or renal toxicity, or
14 evidence of QTc prolongation to date.

15 Overall, we feel the safety experience
16 supports further clinical development in adults and
17 clinical development in children.

18 We now turn to efficacy. As I said earlier,
19 most patients enrolled did not have one of the gene
20 rearrangements that is targeted by entrectinib, but
21 25 out of 119 patients did have one of these gene
22 rearrangements, were naive to prior treatment with

1 an inhibitor of these targets, and received a phase
2 2 dose or higher.

3 This figure shows the maximum measured tumor
4 reduction for each of the 24 patients with
5 extracranial solid tumors. All patients except for
6 two had some tumor regression, and 19 of these 24
7 patients, or 79 percent, had confirmed responses by
8 RECIST criteria.

9 Responses were seen in patients with each of
10 the targets of interest; 3 out of 3 or 100 percent,
11 of NTRK patients responded, 12 out of 14 or 86
12 percent of ROS-1 patients responded, and 4 out of 7
13 or 57 percent, of ALK patients responded.

14 Finally, one additional patient, the 25th
15 patient, had an NTRK-positive astrocytoma. This
16 primary brain tumor also showed evidence for tumor
17 regression. This patient had stable disease by
18 RECIST criteria, but since RECIST is not validated
19 for brain tumors, the clinical center performed
20 volumetric analysis, which showed 45 percent tumor
21 regression. In addition, he had significant
22 improvement in his associated clinical symptoms.

1 This slide represents the time on study for
2 each of the 24 patients. We have treated patients
3 with many different tumor types, including
4 non-small cell lung cancer, salivary gland cancer,
5 colorectal cancer, metastatic melanoma, and primary
6 brain tumors. As you can see, there is strong
7 evidence for durability of response. The longest
8 patient in response is a patient with ROS1-positive
9 non-small cell lung cancer, who was close to 27
10 months on entrectinib as of the date of cutoff.

11 We have multiple additional patients who
12 have been on entrectinib for more than a year, and
13 the entrectinib patient with the longest duration
14 of response is at 12 months as of the date of
15 cutoff.

16 You will note that the time of response is
17 also brisk. The diamonds represent the time to
18 response, which is 4 weeks or 8 weeks for most
19 patients.

20 Here, we have an example of a patient
21 treated on one of our phase 1 studies. This is a
22 46-year-old man with NTRK1-positive non-small cell

1 lung cancer who, before enrolling onto the
2 STARTRK-1 trial, had received multiple prior
3 therapies, including anti-PD-1 therapy. He was
4 also found to have 15 to 20 brain metastases prior
5 to coming on study. He was very sick, with poor
6 performance status, and the patient was in hospice
7 at the time of study enrollment.

8 You can see his baseline CT scans, which
9 show extensive tumor in his lungs. After
10 approximately 4 weeks of entrectinib therapy, he
11 had almost 50 percent reduction in tumor, and more
12 recent scans at almost 11 months show continued
13 response, with additional tumor regression.

14 Here is a case of another patient, a
15 22-year-old woman with neuroblastoma, with an
16 activating point mutation of the ALK gene. She had
17 multiple lines of prior therapy before receiving
18 entrectinib, and she achieved a partial response
19 and remained on entrectinib for over 3 years.

20 These scans show the brain metastases of the
21 patient with non-small cell lung cancer I described
22 earlier. The baseline scan shows 2 of his 15 to 20

1 brain metastases. By 4 weeks, he had a complete
2 response in the brain, and his complete response
3 has continued.

4 The second case is of a 53-year-old Korean
5 woman with ROS1-positive non-small cell lung
6 cancer, and you can see the rapid response within
7 7 weeks of her ROS-1 positive brain metastases.

8 Finally, we have a case of a patient who
9 came to us as a compassionate use request off
10 study. The case is of a 20-month-old baby boy with
11 a recurrent metastatic infantile fibrosarcoma. He
12 presented at birth with this malignancy,
13 necessitating amputation, but unfortunately, he
14 recurred in the lungs, for which he received
15 chemotherapy.

16 At age 1 year, he had another recurrence
17 this time in his brain, which was resected,
18 followed by chemotherapy. It was at the time of
19 his second CNS recurrence that he was brought to
20 our attention. He was clinically severely impaired
21 by the extent of his CNS disease, with a statement
22 from his treating physician that "death was likely

1 imminent."

2 At baseline prior to entrectinib, he had a
3 large tumor mass in the right hemisphere, centering
4 on the right temporal lobe, with massive
5 tumor-related swelling and a 17-millimeter midline
6 shift with evidence of transtentorial herniation.

7 As of the date of cutoff, after 5 weeks on
8 entrectinib, his follow-up scans demonstrated
9 significant decrease in the size of his tumor, with
10 improvement in edema and resolution of mass effect.
11 More importantly, he was back to eating and
12 crawling.

13 Thus, in conclusion from our phase 1
14 experience, we have shown that entrectinib appears
15 to be well tolerated based upon a treatment
16 experience of 119 patients. The safety experience
17 consists of many patients who have received
18 entrectinib for extended periods.

19 We have seen an overall confirmed response
20 rate of 79 percent, with responses in patients with
21 TRK, ROS1, or ALK-positive extracranial solid
22 tumors. These responses can occur as quickly as

1 4 weeks and have good durability, and importantly,
2 we have seen responses across multiple tumor types.
3 We have also seen complete and durable response,
4 including patients with primary or bulky metastatic
5 CNS disease.

6 Now, switching to consideration of the
7 pediatric patient population. The NTRK gene
8 rearrangements against which we have seen initial
9 clinical activity with entrectinib in the adult
10 patient population are also seen in children,
11 leading to the hypothesis that TRK inhibition in
12 the appropriate setting may impart clinical
13 benefit.

14 Some tumors such as primary glial tumors and
15 papillary thyroid cancers are seen across the age
16 spectrum from adults to children. On the other
17 hand, other tumors are largely exclusive to the
18 pediatric population, and moreover, in some
19 instances, for tumors such as congenital or
20 infantile fibrosarcoma or secretory breast cancer,
21 the tumors may be defined by the presence of one of
22 these gene rearrangements such as ETV6, NTRK3 in

1 infantile fibrosarcoma.

2 Of note, a subset of glial tumors in
3 children called diffuse intrinsic pontine gliomas
4 may also be enriched in NTRK gene rearrangements.

5 Finally, as discussed earlier through an
6 alternate mechanism of TRKB overexpression,
7 neuroblastoma may also be amenable to TRK inhibitor
8 therapy. This finding of TRKB overexpression is
9 also seen in anaplastic Wilms tumor,
10 medulloblastoma, and retinoblastoma, making them
11 also potentially amenable to TRK inhibitor therapy.

12 ROS1 activating alterations have also been
13 identified in some pediatric tumors, including ROS1
14 gene rearrangements in inflammatory myofibroblastic
15 tumor, and overexpression in congenital
16 fibrosarcoma.

17 Finally, ALK alternations are seen in
18 inflammatory myofibroblastic tumor, as well as a
19 range of activating point mutations in
20 neuroblastoma.

21 Nevertheless, despite their broad
22 distribution, these molecular findings, these

1 tumors are extremely rare, most with case rates
2 fewer than 10 per million.

3 We initiated our phase 1/1b pediatric
4 clinical trial of entrectinib at the end of 2015.
5 The patient population under evaluation is children
6 age 2 to 21 years with relapsed or refractory
7 neuroblastoma, extracranial solid tumors with or
8 without NTRK1/2/3, ROS1, or ALK gene
9 rearrangements, and primary CNS tumors.

10 We selected our starting dose based upon our
11 adult experience in order to achieve a potentially
12 therapeutic exposure with the first dose level.
13 Considering the strong scientific rationale for
14 pediatric development, the compelling preliminary
15 clinical efficacy and large safety profile in adult
16 cancer patients, and an available formulation that
17 was deemed suitable for children able to swallow
18 capsules, we began the study with the current adult
19 capsule formulation, with the intention of
20 introducing into the study a pediatric granule
21 formulation as soon as available.

22 We elected granules in consultation with our

1 pediatric investigator as a liquid formulation of
2 this compound was not feasible.

3 We also feel that some pediatric patients
4 may prefer oral capsules over granules mixed with
5 food, and thus it would be prudent to test both
6 formulations in the pediatric population in order
7 to ensure adequate PK and safety experience.

8 The study itself has a stepwise design. The
9 initial part A seeks to establish a pediatric
10 recommended phase 2 dose in patients with
11 extracranial advanced solid tumors using a 3-plus-3
12 dose escalation design.

13 We then moved to three simultaneously
14 enrolling cohorts. Part B revisits dose escalation
15 in patients with primary CNS tumors. Part C
16 explores entrectinib at the phase 2 dose in
17 patients with neuroblastoma using a Simon's two-
18 stage design. Finally, part D explores the
19 efficacy of entrectinib in pediatric patients with
20 solid tumors that harbor gene rearrangement of
21 NTRK1/2/3, ROS1, or ALK.

22 In all instances, tumor genomic profiling is

1 performed, but only part D requires a positive
2 result as a condition for enrollment.

3 Response is assessed by RECIST, with the
4 incorporation of the Curie scale for neuroblastoma
5 and the use of RANO for children with brain tumors.

6 In selecting our starting pediatric dose, we
7 began with our adult PK data, and the exposures we
8 were able to achieve both at the adult RP2D of 600
9 milligrams fixed and at the lower dose of 200
10 milligrams per meter squared.

11 You will note that both PK profiles are
12 multiple folds above the entrectinib IC90 based
13 upon preclinical xenograft models, and this
14 coverage is maintained over a full 24 hours with
15 once-a-day dosing.

16 Based upon these data and along with PBPK
17 modeling, which took into account differences in
18 physiological parameters such as enzyme transporter
19 expression levels, GI transit, et cetera, and the
20 body size at various age groups both through weight
21 and BSA, we selected 250 milligrams per meter
22 squared to provide an initial safety margin while

1 still maintaining therapeutic potential.

2 Dose escalation begins at this dose and then
3 quickly moves to 400 milligrams per meter squared,
4 which, as I said previously, is our adult BSA base
5 recommended phase 2 dose. The protocol allows
6 further dose escalation beyond this dose level up
7 to 750 milligrams per meter squared once daily.

8 In the primary brain tumor cohort, we
9 revisit dose escalation by dropping down on dose
10 level from the previously established RP2D in
11 children and then dose escalating from there.

12 As I stated, the primary objective of this
13 study is to identify a phase 2 dose in patients
14 with relapsed or refractory extracranial solid
15 tumors and then in patients with relapsed or
16 refractory primary CNS tumors. The secondary
17 objectives are as expected.

18 Additional eligibility criteria include
19 measurable or evaluable disease with a performance
20 status of greater than 60 percent and a BSA greater
21 than or equal to 0.45 per meter squared.

22 In terms of safety monitoring, as I

1 summarized previously, to date, from the adult
2 phase 1 experience, there's been no evidence of
3 cumulative toxicity, concerning CNS toxicity,
4 hepatic or renal toxicity, or QTc prolongation.

5 During the dose escalation, patients will be
6 monitored for dose-limiting toxicities, including
7 special attention to CNS toxicity. In general,
8 entrectinib will be interrupted for adverse events
9 of grade 3 or greater, with resolution of
10 toxicities down to grade 2 or lower or at baseline
11 before resuming treatment.

12 Specific to this pediatric trial for adverse
13 events of somnolence or cognitive disturbance,
14 toxicity must resolve to grade 1 or lower or
15 baseline before resuming treatment.

16 We will be collecting extensive
17 pharmacokinetics in all parts of the study, and we
18 will be performing retrospective genomic tumor
19 analysis at Ignyta's CAP CLIA lab.

20 Ignyta is relatively unique amongst
21 therapeutically-focused biotech companies by having
22 an in-house CAP CLIA diagnostic lab. This enables

1 us to fully integrate biomarker analysis into our
2 development programs. For the entrectinib program,
3 we have developed an RNA-based multiplex NGS assay
4 called Trailblaze Pharos, which we perform to
5 assess gene rearrangements, overexpression,
6 insertions, deletions, and splice variants of
7 NTRK1/2/3, ROS1, and ALK that are potentially
8 relevant to our pediatric development plan.

9 For our pediatric program, this platform
10 will be employed to help develop and guide a
11 patient selection strategy which we would plan to
12 carry into phase 1b and into future pediatric
13 studies. For example, retrospective tumor genomic
14 profiling will be conducted in all patients to
15 assess if activating alterations such as TRKB
16 overexpression predicts response.

17 Only in part D, which focuses on gene
18 rearrangements, will testing be prospective and a
19 condition for enrollment. However, this can be
20 assessed by the Ignyta assay or by local methods
21 such as Foundation Medicine or other clinical NGS
22 assays.

1 But we can't find these patients alone. The
2 incorporation of genomic profiling into routine
3 clinical practice will be necessary if entrectinib
4 and other targeted agents for these patient
5 populations are to be successful. There are a
6 number of private and public genomic profiling
7 services that measure our targets, such as NTRK,
8 ROS1, and ALK. So the infrastructure is being
9 deployed.

10 However, it needs to be employed in the
11 service of pediatric cancer patients in order to
12 identify these patients who might benefit from
13 these targeted approaches.

14 In summary, entrectinib is a potent
15 inhibitor of TRK, ROS1, and ALK, and has
16 demonstrated compelling preliminary efficacy
17 against these targets and acceptable safety and
18 tolerability in an adult patient population
19 harboring gene rearrangements, including patients
20 with CNS disease.

21 Based upon these adult clinical data, the
22 fact that these same molecular alternations are

1 seen in pediatric tumors and with our preclinical
2 models, together these provide strong rationale for
3 pediatric development of entrectinib. We have,
4 therefore, initiated the STARTRK Next-Generation
5 study to explore this potential, and on the basis
6 of this study, we are also seeking a written
7 request.

8 Finally, let me conclude by saying we are
9 eager to receive the advisory committee's feedback
10 on our approach to pediatric development. Thank
11 you.

12 DR. PAPPO: Thank you very much.

13 We will now take clarifying questions for
14 the sponsor. Please remember to state your name
15 for the record before you speak, and if you can,
16 please direct questions to a specific presenter.

17 Dr. Weigel?

18 DR. WEIGEL: Hi. Brenda Weigel. Just for
19 clarification and, also, a question. In your adult
20 study, your recommended phase 2 dose was not
21 actually the maximally tolerated dose, correct?

22 DR. MULTANI: Correct.

1 DR. WEIGEL: Can you walk us a little bit
2 through the rationale of the selection of the adult
3 recommended phase 2 dose and then how that has
4 influenced your dosing levels in the pediatric
5 phase 1 trial, because you are starting -- and I
6 congratulate you on what you think is a
7 biologically effective dose, which is fantastic,
8 but then escalating considerably beyond that. Can
9 you help us understand the rationale behind that
10 and the questions being answered by doing that?

11 DR. MULTANI: Sure. Let me walk you through
12 it. You can see how we picked the dose.

13 We initially dose escalated using a
14 BSA-based dosing approach, so per meter squared.
15 We went to 400 milligrams per meter squared and saw
16 multiple examples of clinical activity with
17 acceptable toxicity.

18 Our intent was to arrive at a fixed dose.
19 So after 400 milligrams per meter squared, we
20 stopped dose escalating on a per meter squared
21 basis and transitioned to a fixed dose of 800
22 milligrams flat. It was at that point that we saw

1 our first two dose-limiting toxicities, one of
2 which was a CNS toxicity, a patient who developed
3 reversible confusion and gait instability. It was
4 rapidly reversible, and dose reduction was
5 employed.

6 However, we then backed down from 800
7 milligrams to 600 milligrams. We do think that
8 that is the maximum tolerated dose in adults.

9 We have extensive PK now all the way from
10 starting at 100 milligrams per meter squared up to
11 800 milligrams fixed. I can echo what was said
12 previously that there is a loose but not tight
13 correlation between exposure and response. We
14 certainly feel very low exposures are not
15 compellable response, but we have seen responses at
16 the lower exposures.

17 We have carried the 600 milligrams forward,
18 and, as I mentioned, we have treated, even in our
19 phase 1 experience, 45 patients at this dose and
20 continue to see a good safety profile, with
21 additional evidence of activity.

22 It was based upon that adult PK data and

1 then the additional modeling that we did, as well
2 as sort of understanding the IC90 that we are
3 looking to clear, that we established our pediatric
4 dosing regimen.

5 We wanted to start with a dose that was on a
6 per meter squared basis lower than our adult dose
7 to provide an initial margin as our first entry
8 into children, but then we certainly would like to
9 get to 400 milligrams per meter squared. That
10 would be the intent. Then the protocol allows for
11 further dose escalation, but it is not mandatory.

12 We do have PK that we are collecting, and
13 then based upon refining our pediatric model and
14 having an understanding of the exposures that we
15 are achieving in the adult and the responses we are
16 seeing in that setting, I think we could arrive at
17 a pediatric dose that may not necessarily have to
18 test dose-limiting toxicity.

19 **Clarifying Questions from Subcommittee**

20 DR. PAPPO: Thank you.

21 Dr. Seibel?

22 DR. SEIBEL: Thank you for your very

1 informative presentation.

2 Could you expand a bit more on the
3 parathesias that you see?

4 DR. MULTANI: One of the toxicities that
5 have been observed are peripheral parathesias.
6 Sometimes they are oral. Sometimes they are in the
7 periphery. Sometimes they are accompanied by
8 dysgeusia, so altered taste. Readily reversible.
9 Also, patients over time can become tolerant to
10 them, as well.

11 In our higher exposure experiences, that has
12 necessitated, in a few instances, dose reduction.

13 DR. SEIBEL: Any age correlation at all?

14 DR. MULTANI: Obviously, we are talking
15 today about the challenges of going from infants to
16 adolescents. In the adult setting, going from
17 young adults to older adults, I can say that the
18 CNS toxicity that we have observed often happens in
19 patients who are in the older age bracket who are
20 of low BSA, and not all cases, but often there is
21 also concomitant opiate and medication that is also
22 employed.

1 It is hard to pin a true relationship.

2 DR. SEIBEL: I think in your briefing
3 document, you mentioned about the experience in
4 patients who have had a previous TRK inhibitor and
5 developed resistance to that and then they were
6 treated with yours.

7 DR. MULTANI: We haven't treated enough --

8 DR. SEIBEL: Resistance?

9 DR. MULTANI: The two cases that were
10 mentioned in the previous session were cases on our
11 clinical trials. Two patients, one in the Italian
12 study and one in the U.S. STARTRK-1 study, these
13 were patients who initially responded and then
14 developed resistance.

15 The Italian study, as I mentioned, initially
16 explored intermittent dosing, and the first patient
17 who developed resistance was on this intermittent
18 dosing schedule of 4 days on, 3 days off with a
19 week break with every cycle. So it was getting 12
20 days of drug out of every 28, and we felt that that
21 was essentially a setup for driving resistance.
22 That patient did develop resistance after cycle 4.

1 The second patient on the STARTRK-1 study,
2 although she was on a continuous dosing regimen,
3 she was developing parathesias which required dose
4 reduction, and her exposures, she was at the low
5 end of exposure in all of our experience. So she
6 was essentially dropping below the IC90 and the
7 IC50 on many occasions, and, again, that is sort of
8 a setup for development of resistance.

9 DR. SEIBEL: I see. One last question then
10 related to the ALK activity. I notice you just
11 said ALK essentially translocations. You are not
12 including patients who would have mutations, and
13 what is the experience with the mutations,
14 particularly the crizotinib-resistant mutations,
15 what is the activity of that?

16 DR. MULTANI: This drug has been studied in
17 patients who have developed regression
18 post-crizotinib. We have not seen clinical
19 activity in that setting.

20 We have seen, however, clinical activity in
21 the setting of patients -- this is in the adult
22 population -- patients who have

1 crizotinib-sensitive disease, but then progressed
2 in the CNS, either ALK positive disease or ROS1-
3 positive disease. We have been able to essentially
4 arrest and, in some instances, reverse their CNS
5 progression because of the ability of entrectinib
6 to get into the brain, whereas crizotinib has more
7 challenges.

8 On the pediatric side, our neuroblastoma
9 cohort -- and perhaps I wasn't clear -- although
10 it's testing the TRK hypothesis, we are
11 prospectively enrolling all comers with
12 neuroblastoma with the idea that retrospectively,
13 we would try to analyze TRKB overexpression, what
14 does that mean, and also try to get additional data
15 in terms of ALK expression, ALK point mutations,
16 which are also seen in that disease.

17 DR. SEIBEL: You showed that one slide with
18 the NB-1 cell line, which is probably the one that
19 people use for amplification. Do you have any
20 other data to support the use of ALK inhibitor for
21 amplification?

22 DR. MULTANI: We have the clinical example,

1 the patient in the Italian study who had an
2 activating point mutation of ALK, 22-year-old
3 woman.

4 DR. SEIBEL: But not amplification?

5 DR. MULTANI: She did not have
6 amplification. It was an activating point
7 mutation, yes.

8 DR. SEIBEL: Okay. Thank you.

9 DR. PAPPO: Thank you.

10 Dr. Warren?

11 DR. WARREN: Hi, Kathy Warren from NCI.

12 DR. MULTANI: Hello.

13 DR. WARREN: I have a question regarding
14 your phase 1 study design. In the phase 1a, you
15 define a maximum tolerated dose or a recommended
16 phase 2 dose, and then it goes to 1b. For patients
17 with CNS tumors, you drop a dose level.

18 My comment is I think that is too
19 conservative, because in your table of adverse
20 events, there was no headache. I don't think I
21 have ever seen a phase 1 study in adults which
22 didn't report headache as a common toxicity. No

1 evidence of increased intracranial pressure. No
2 myelosuppression.

3 Those are the things that we would generally
4 worry about in kids with CNS disease. Also, all of
5 your adverse events are reversible, as you stated.

6 Our kids with recurrent progressive CNS
7 tumors have really one good chance at an
8 investigational agent at that time, and I think it
9 would be prudent to give them the best opportunity
10 to respond. So I don't understand why you drop a
11 dose level. I would suggest expanding the cohort
12 for CNS tumors.

13 Would you continue to dose escalate in that
14 population if they tolerated that, the recommended
15 phase 2 dose?

16 DR. MULTANI: We would.

17 DR. PAPPO: Thank you.

18 Dr. Raez?

19 DR. RAEZ: Elizabeth Raez. Thank you for
20 your presentation.

21 I just had a question about the rationale
22 for requiring a body surface area of at least 0.45

1 and if there were any plans to perhaps expand to
2 younger patients.

3 DR. MULTANI: The study itself is open to
4 age 2 and above, and we would potentially expand to
5 a lower BSA compatible with that age range once we
6 introduce the pediatric formulation. Since we are
7 using capsules, we probably aren't going to be able
8 to deliver it to that bracket there.

9 DR. RAEZ: Thanks.

10 DR. PAPPO: Thank you.

11 Steve?

12 DR. DUBOIS: Steve DuBois, Dana Farber. I
13 wondered if you have treated any patients with
14 inflammatory myofibroblastic tumors.

15 DR. MULTANI: We have not.

16 DR. DUBOIS: You have not. Then related to
17 Dr. Seibel's question about the spectrum of
18 activity of this agent, can you help us to
19 understand the ALK inhibitory activity as it
20 compares with ceritinib or lorlatinib, other agents
21 that are being developed in pediatrics as ALK
22 inhibitors?

1 DR. MULTANI: It is a potent ALK inhibitor,
2 and it is essentially on par in potency with
3 crizotinib. It does cross into the CNS like
4 ceritinib. It is not active against the solvent
5 front mutation that, for example, lorlatinib is
6 active against.

7 DR. PAPPO: Thank you.

8 I had a couple of questions. There were, I
9 think, 6 patients that came off study after
10 achieving a response in that plot that you showed.
11 Was that because of toxicity?

12 DR. MULTANI: Progression. We have not had
13 a patient come off study in response for toxicity.
14 Those were all progression events.

15 DR. PAPPO: Then the other question I had
16 was the fact that there is no liquid formulation,
17 that significantly affects the applicability of
18 this drug to younger patients that have unique
19 histologies, like infantile fibrosarcoma,
20 et cetera. Is it just impossible to develop a
21 liquid formulation, or are you still working on it?

22 DR. MULTANI: The actual sprinkle

1 formulation can be mixed with liquid and
2 administered or in a very small volume of food.
3 For example, the compassionate use case, the
4 patient was 18 months old, and what we did there
5 was just mix the contents of the capsule with the
6 food. So that's the intent of how we could deliver
7 to infants the pediatric formulation.

8 DR. PAPPO: Can you combine it just with
9 water? Will it dissolve in water or no?

10 DR. MULTANI: It can be suspended in water.

11 DR. PAPPO: It can be suspended in water.

12 Okay.

13 I think I had one more question, but since
14 I'm not remembering, we'll move forward with
15 Dr. Dunkel.

16 (Laughter.)

17 DR. DUNKEL: Ira Dunkel, Memorial Sloan
18 Kettering. I wanted to hear a little bit more
19 about the potential you think this has for the
20 medulloblastoma, retinoblastoma, neuroblastoma
21 patients who are overexpressed but don't have
22 fusion or another mutation.

1 I think you gave us data from one
2 preclinical model showing that, in principle,
3 overexpression can be associated with efficacy.

4 DR. MULTANI: Right.

5 DR. DUNKEL: But I didn't know if that was
6 one example of many and others failed or others
7 also worked or if that is the only one that you
8 have tested.

9 I was also wondering if you could tell us of
10 these tumor types that have overexpression, how
11 consistently the tumors have overexpression. Is it
12 a small subset or a large subset or all of the
13 patients overexpressed the TRK?

14 DR. MULTANI: Let me have Zac Hornby, our
15 team leader for NTRK, to answer that question.

16 MR. HORNBY: Hello. Zac Hornby, team leader
17 for NTRK.

18 We did indeed test four different TRKB
19 overexpressed-driven models. They were, however,
20 all models of neuroblastoma. We have not yet
21 tested any preclinical models of either
22 medulloblastoma or retinoblastoma.

1 In the literature, the same observation has
2 been found of a correlation between TRKB
3 overexpression and worse prognosis, but this would
4 have to be tested empirically in the clinic.

5 DR. DUNKEL: I'm sorry. Can you also
6 comment on the second part about do you know within
7 those diseases how consistently they have the
8 overexpression?

9 MR. HORNBY: I don't know the answer off the
10 top of my head.

11 DR. MULTANI: I can say, though, that is why
12 we are retrospectively collecting tissue to do a
13 biomarker analysis, because even if there is -- to
14 the degree that TRKB overexpression has been found
15 in the literature, therapeutically, what the cutoff
16 would be to define TRKB overexpression and what the
17 methods used to make that determination still need
18 to be determined.

19 There is a lot of retrospective biomarker
20 activity that would be part of this and not just
21 this study alone, but hopefully a follow-on study.

22 DR. PAPPO: Thank you.

1 Julia?

2 DR. GLADE BENDER: Thank you very much for
3 your presentation.

4 I have a question about combinations. Given
5 the fact that you have seen patients progress or
6 develop resistance and you are going for diseases
7 where we will definitely be using chemotherapy as
8 part of our treatment regimens, can you comment on
9 what combinations you have studied, what
10 combinations you plan to study, and whether there
11 is any evidence of synergy with any particular
12 combination?

13 DR. MULTANI: The one combination that we
14 have studied to date in neuroblastoma is the
15 combination with topotecan and temozolomide.

16 We would expect that future development
17 might involve combinations. We are set up for
18 that, and once we get the pediatric study off the
19 ground, we would entertain designs of how to then
20 transition that to explore a combination approach.

21 DR. PAPPO: Thank you.

22 Dr. Warren?

1 DR. WARREN: Is there any correlation
2 between your intratumoral TRK inhibition and your
3 plasma exposure to the drug?

4 DR. MULTANI: We don't have that
5 information.

6 DR. WARREN: But you have tumor tissue now,
7 right, and you have blood?

8 DR. MULTANI: We do, but the tumor tissue
9 that we get for diagnosis is pretreatment. We do
10 have a few samples that are post-progression.

11 DR. PAPPO: Steve?

12 DR. DUBOIS: Just back to the biomarker
13 question, how good is that assay for -- I presume
14 it is immunohistochemistry for TRKB. To my
15 knowledge, I imagine that is being done as a
16 research assay, and maybe my panelists could help.
17 But to my knowledge, I don't think that's being
18 done in any clinical pathology labs for IHC.

19 I don't know if you can comment.

20 DR. MULTANI: We have both an IHC approach,
21 as well as a RNA-based expression approach.

22 DR. DUBOIS: Then your performance

1 characteristics of the IHC, is it pretty clean?

2 DR. MULTANI: We have worked hard to
3 essentially develop a TRK antibody that would be
4 useful.

5 DR. PAPPO: Thank you.

6 Dr. MacDonald?

7 DR. MacDONALD: Tobey MacDonald. Perhaps
8 you can clarify exactly at what point and where is
9 TRK overexpression being evaluated in terms of at
10 diagnosis, at relapse?

11 DR. MULTANI: At diagnosis.

12 DR. MacDONALD: Because unlike a gene
13 rearrangement, we would expect TRK expression to be
14 variable dependent upon treatments given. So your
15 TRK expression at diagnosis may not be --

16 DR. MULTANI: We are trying to get the most
17 recent specimen, but we understand that it may be
18 at diagnosis.

19 DR. MacDONALD: Who would do the expression
20 analysis, because that wouldn't be a routine? Even
21 if we do molecular profiling, we wouldn't be
22 looking at TRK.

1 DR. MULTANI: We would do that in our lab
2 retrospectively is how it is currently defined.

3 DR. MacDONALD: Okay. It is just,
4 obviously, you have many trials and you have many
5 different targets and you also have research
6 institutions. Just trying to logistically put it
7 into my head when you have a relapsed tumor, why
8 would this one get selected to send the tissue up
9 to you rather than have an in-house screening
10 approach which would be more favorable so we could
11 select who most appropriately we should send out?

12 DR. MULTANI: I think once we can develop
13 methods that we think are reproducible, they could
14 then be transferred out.

15 DR. MacDONALD: Then finally, just a
16 comment. I know about expression, traditionally,
17 historically, TRKC expression actually in
18 medulloblastoma has been associated with a
19 favorable prognosis, not unfavorable. So I am not
20 sure how you address that.

21 DR. MULTANI: That is not a core patient
22 population within this study, but the study has

1 broad eligibility. We are, however, focusing on
2 TRKB in neuroblastoma in the Phase 1/1b study, as
3 well as the gene rearrangements.

4 DR. MacDONALD: Thank you.

5 DR. PAPP0: Thank you.

6 Dr. Reaman?

7 DR. REAMAN: Can you just clarify the
8 current sprinkle formulation, it is just the same
9 contents of the capsule, or are they different?
10 Are there bioavailability studies that you have
11 done sprinkling that on food, and how has that been
12 assessed?

13 DR. MULTANI: So it is not just the capsule
14 contents. It has been formulated to taste mask and
15 be able to be sprinkled on food and have at least
16 release characteristics that right now are
17 compatible with dosing. Then we would expect to,
18 before introducing it into the clinic, do a healthy
19 volunteer bioavailability study.

20 DR. PAPP0: Dr. Brown?

21 DR. BROWN: I wanted to go back to the
22 patients who responded and then progressed. Do you

1 have any insights on mechanisms of resistance in
2 those patients?

3 DR. MULTANI: Just the two that have been
4 mentioned where we were able to get
5 post-progression biopsies and demonstrate presence
6 of a resistant point mutation.

7 DR. BROWN: It wasn't that the other three
8 were tested and were negative. It is just that
9 they weren't tested.

10 DR. MULTANI: Correct.

11 DR. BROWN: Thank you.

12 DR. PAPPO: Any additional questions?

13 (No response.)

14 **Open Public Hearing**

15 DR. PAPPO: Thank you very much.

16 Both the Food and Drug Administration and
17 the public believe in a transparent process for
18 information-gathering and decision-making. To
19 ensure such transparency at the open public hearing
20 session of the advisory committee meeting, FDA
21 believes that it is important to understand the
22 context of an individual's presentation.

1 For this reason, FDA encourages you, the
2 open public hearing speaker, at the beginning of
3 your written or oral statement, to advise the
4 committee of any financial relationship that you
5 may have with the sponsor, its product, and, if you
6 know, its direct competitors.

7 For example, this financial information may
8 include the sponsor's payment of your travel,
9 lodging, or other expenses in connection with your
10 attendance to the meeting. Likewise, FDA
11 encourages you, at the beginning of your statement,
12 to advise the committee if you do not have any such
13 financial relationships. If you choose not to
14 address this issue of financial relationships at
15 the beginning of your statement, it will not
16 preclude you from speaking.

17 The FDA and this committee place great
18 importance in the open public hearing process. The
19 insights and comments provided can help the agency
20 and this committee in their consideration of the
21 issue before them. That said, in many instances
22 and for many topics, there will be a variety of

1 opinions.

2 One of our goals today is for this open
3 public hearing to be conducted in a fair and open
4 way, where every participant is listened to
5 carefully and treated with dignity, courtesy, and
6 respect. Therefore, please speak only when
7 recognized by the chairperson.

8 Thank you for your cooperation.

9 Will speaker number 1 step up to the podium
10 and introduce yourself? Please state your name and
11 any organization you represent for the record.

12 DR. GOSHOCK: Hi. Thank you for the
13 opportunity to speak here today. My name is
14 Dr. Laura Goshock, and I received my PhD from Johns
15 Hopkins School of Medicine. Today I'm presenting
16 comments on behalf of the National Center for
17 Health Research.

18 Our research center scrutinizes scientific
19 and medical data and provides objective health
20 information to patients, providers, and
21 policymakers. We do not accept funding from
22 pharmaceutical companies, and therefore, I have no

1 conflicts of interest.

2 The passage of the Best Pharmaceuticals for
3 Children Act and the Pediatric Research Equity Act
4 has resulted in labeling changes for hundreds of
5 drugs so that they may be used in pediatric
6 populations. However, despite the success and
7 advances in both basic science and clinical trials
8 in pediatrics, off-label drug use in children and
9 adolescents remains a problem. Moreover, off-label
10 use of drugs presents an ever larger and more
11 complex issue for children with chronic and/or rare
12 diseases, like the cancers discussed here.

13 That's why we strongly support FDA advisory
14 committee meetings such as this one to garner input
15 from experts on how best to conduct clinical trials
16 in pediatric patients. The panel has done a great
17 job in asking specific questions to the drug
18 sponsors about their trial design while offering
19 helpful suggestions and input when needed.

20 However, despite the extraordinarily rare
21 populations of patients to test these drugs, the
22 scientific integrity of these trials needs to be

1 kept in mind when moving forward. When possible,
2 randomized or well-matched control group or
3 comparison samples for new drugs should be used
4 because it is the ethical and scientifically valid
5 design for proving whether a product is safe and
6 effective.

7 During the analysis of the proposed clinical
8 trials, also keep in mind the possible pitfalls
9 associated with using surrogate endpoints in lieu
10 of overall survival. A study published last year
11 looked at cancer drugs approved over five years
12 using surrogate endpoints.

13 In postmarket studies, only 14 percent of
14 these approved cancer drugs were found to improve
15 patient survival, and yet, our center found that
16 all of the unproven cancer drugs were still on the
17 market, many costing more than \$100,000 a year.

18 These results show that surrogate endpoints
19 such as objective response rate too often provide
20 false hope when costing patients more than they can
21 afford.

22 Additionally, as discussed with several of

1 the drugs, there are clearly subpopulations of
2 patients who respond better to treatment than
3 others. We encourage the sponsors to further
4 characterize these positive responders in hopes of
5 targeting the population of patients who would
6 benefit the most from their treatment.

7 In conclusion, we realize that all five of
8 the drugs discussed at this meeting are for
9 treating very rare pediatric cancers that
10 desperately need new treatments. For that very
11 reason, if approved, these drugs may be tempting to
12 use off label in pediatric patients.

13 Therefore, we commend the FDA and the panel
14 for providing an open discussion on the best way in
15 which to test these five new drugs in pediatric
16 populations. This is a step in the right direction
17 to help ensure that drugs are safe and effective
18 for everyone who they are prescribed.

19 Thank you for your time.

20 **Questions to the Subcommittee and Discussion**

21 DR. PAPPO: Thank you.

22 The open public hearing portion of this

1 meeting has now concluded, and we will no longer
2 take comments from the audience.

3 The committee will now turn its attention to
4 address the task at hand, the careful consideration
5 of the data before the committee, as well as the
6 public comments. We will now proceed with the
7 questions to the committee and panel discussions.

8 I would like to remind public observers that
9 while this meeting is open for public observation,
10 public attendees may not participate except at the
11 specific request of the panel.

12 We will go ahead and start with question
13 number 1.

14 DR. ERSHLER: Please consider whether NTRK1
15 and 2 and ALK overexpression provides an
16 appropriate biological rationale for the proposed
17 target tumors. Please address the role of ROS1
18 inhibition in pediatric tumors.

19 DR. PAPPO: If there are no questions or
20 comments concerning the wording of the question, we
21 will now open the question for discussion.

22 Steve?

1 DR. DUBOIS: I asked the question about
2 inflammatory myofibroblastic tumor because I think,
3 to my knowledge, that would be the only pediatric
4 tumor that has a ROS-1 aberration. So I think that
5 would be, in terms of ROS1, the one histology I can
6 think of.

7 DR. PAPP0: Any comments on the
8 overexpression of NTRK1/2 or ALK? I think that is
9 not whether this agent will act in cases where
10 these are overexpressed versus rearranged or ALK
11 mutations, but I would like to hear your
12 suggestions or comments.

13 Dr. Brown?

14 DR. BROWN: It wasn't about that, but for
15 ROS1, I believe the 119 translocated to AOL has
16 been demonstrated to overexpress ROS1 and also be
17 sensitive to ROS1 inhibition in preclinical models,
18 just something to mention.

19 DR. PAPP0: I believe that the company is
20 going to be testing the -- they are going to be
21 able to correlate overexpression of NTRK or ALK
22 with response, and I guess we will have those

1 results at some point. But prospectively, I do not
2 know that there is a correlation, and I cannot
3 predict if there is going to be activity of this
4 agent with tumors that overexpress these genes.

5 Steve?

6 DR. DUBOIS: Just to comment on the NANT
7 trial of lestaurtinib, which is not a particularly
8 potent TRK inhibitor nor particularly selective TRK
9 inhibitor, but it is unusual with a single agent
10 biologic to see any objective responses on some of
11 those NANT trials which tend to enroll very heavily
12 pretreated patients. So the fact that they
13 observed 2 responses I think is perhaps reassuring
14 that with more selective TRK inhibitors and more
15 potent TRK inhibitors that there may be a role in
16 neuroblastoma.

17 DR. PAPP0: These are patients with
18 overexpression of NTRK or mutations?

19 DR. DUBOIS: They weren't selected. They
20 were just relapse, refractory, no.

21 DR. PAPP0: Yes, Julia and then Nita.

22 DR. GLADE BENDER: I just wanted to

1 reiterate what Dr. MacDonald said about expression
2 profiling. I think going on expression on archival
3 tissue may not be appropriate. We will have to
4 see. I think the rearrangements are pretty clear,
5 but not the expression level on archival specimens.

6 DR. PAPPO: Nita?

7 DR. SEIBEL: I think we don't know
8 particularly for ROS1 and the ALK amplification
9 versus mutation. I think it is really important or
10 essential that we capture those data. So I think
11 we need to be able to make those correlations
12 because right now, I think there is some
13 presumption that these patients should be treated
14 with an ALK inhibitor if they have an
15 amplification.

16 The other thing is we have to work with the
17 tissue that is available. Ideally, we'd like to
18 have tissue from the most recent recurrence, but
19 that is not possible. So I think we need the data
20 for these to really get a background wherever we
21 can get the tissue from initially.

22 DR. PAPPO: Any other comments or questions

1 regarding this question?

2 Yes, Brenda?

3 DR. WEIGEL: I think just echoing that, I
4 would be very supportive and encouraging of
5 continuing to collect as much data as possible to
6 be able to answer some of these questions in a
7 prospective manner. Even if it may not be the
8 optimal tissue, it may at least give us a clue as
9 to whether further studies are even indicated. So
10 I would support encouraging that.

11 DR. PAPPO: Dr. Armstrong?

12 DR. ARMSTRONG: I will point out that in
13 adult tumors when you make biopsy mandatory, more
14 than 50 percent of the eligible patients don't go
15 on trial. So it is a common issue, but
16 particularly for these rare cancers, I would not
17 make it mandatory. I think use what you have.

18 DR. PAPPO: Thank you.

19 Any additional comments or questions?

20 (No response.)

21 DR. PAPPO: I guess this trial would offer
22 the opportunity to capture data to actually answer

1 this question, whether overexpression of some of
2 these genes are appropriate biological targets for
3 inhibition by entrectinib, and there might be a
4 role for a very small subset of pediatric tumors in
5 which ROS1 is rearranged, such as IMT or a subset
6 of patients with leukemia in which this agent might
7 prove useful.

8 Does that pretty much capture what everybody
9 said?

10 (No response.)

11 DR. PAPPO: Okay. We will move to question
12 number 2.

13 DR. ERSHLER: Please comment on the clinical
14 availability and feasibility of NTRK1/2/3 and ROS-1
15 evaluation in current pediatric oncology practice.

16 DR. PAPPO: If there are no questions or
17 comments concerning the wording of the question, we
18 will now open the question for discussion.

19 Yes, Dr. MacDonald?

20 DR. MacDONALD: I still think the TRK
21 overexpression is going to be a little bit tricky
22 as opposed to the rearrangements, particularly if

1 you talk about brain tumors. It's expressed in the
2 brain. Who is determining what overexpression is
3 in the brain? Are we comparing it to normal brain
4 tissue? Whose estimate is it in the end, and who
5 does it?

6 We don't routinely do that. You would have
7 to have already in place a plan for that protocol
8 specific to be looking at it. We are not going to
9 screen, I don't think, every kiddo for TRK
10 expression. It doesn't rise to the level of -- I
11 still think that needs to be addressed in a
12 thoughtful manner of how exactly the centers are
13 going to look at that.

14 DR. PAPPO: I think regarding the
15 availability and feasibility to test for this, it
16 could be similar to the comment that Greg made in
17 the previous presentation. It might be histology
18 specific. If you have a tumor in which you suspect
19 that there is going to be an aberration and if
20 there are genes, it is worthwhile proceeding with
21 extensive genomic testing to see if those patients
22 could potentially benefit from this agent.

1 Any other comments or questions regarding
2 this question?

3 Julia?

4 DR. GLADE BENDER: The other point I wanted
5 to make was that I think in the clinical trial
6 design, you mentioned that outside testing and
7 programs, for example, who are doing comprehensive
8 molecular profiling of tumors would be adequate
9 testing to enter into the trial, but I think it
10 would be very important for the study, if possible,
11 to get tissues from those same patients to validate
12 the testing from the outside source.

13 DR. PAPPO: Any other questions?

14 (No response.)

15 DR. PAPPO: To summarize, it might be
16 difficult to evaluate TRK expression, but
17 particularly in brain tumors. The second thing
18 would be to take this availability and feasibility
19 of evaluation of NTRK aberrations and ROS and ALK
20 within the context of a specific histology, and if
21 possible, it would be helpful to try to validate
22 the studies that are done outside of your company

1 with tissue being actually validated at your
2 company to be sure that the rearrangement or the
3 mutation or whatever was really present.

4 Is that fair?

5 DR. GLADE BENDER: Expression, I think it's
6 really the expression.

7 DR. PAPPO: Expression.

8 DR. GLADE BENDER: That data that would be
9 interesting to validate across testing.

10 DR. PAPPO: Thank you.

11 We will move to question number 3.

12 DR. ERSHLER: Please consider the ongoing
13 pediatric study and discuss the overall study
14 design.

15 DR. PAPPO: If there are no questions or
16 comments concerning the wording of the question, we
17 will now open the question for discussion.

18 Brenda?

19 DR. WEIGEL: Kathy and I are looking at each
20 other because we both probably have comments.

21 I appreciate the design and starting at what
22 we think is an effective dose. I would encourage

1 very careful consideration of the dose escalation,
2 especially if toxicity does not end up being an
3 endpoint in what the criteria are for defining the
4 optimal dose, because I think that's not entirely
5 clear in comparison to the adult dose.

6 I will leave the second comment to Kathy.

7 DR. WARREN: Actually, I was going to go
8 back to the previous comments about mandatory
9 biopsy. I think in general as we embark on
10 precision medicine clinical trial, it is imperative
11 for us to know whether the target is there that we
12 are aiming for, and if we do not know if the sample
13 tissue sample from diagnosis changed over time,
14 then we should obtain tissue prior to going on an
15 investigational trial that targets a specific
16 thing.

17 DR. PAPPO: Thank you.

18 Yes, Brenda?

19 DR. WEIGEL: Then I would add, in addition,
20 given that we are trying to potentially target CNS
21 tumor patients, to echo Kathy's comment from
22 before, that consideration of concurrent dosing for

1 the CNS patients and not de-escalating them a
2 priori would be advisable and then continue to
3 escalate as necessary based on toxicity and effect.

4 DR. PAPPO: Any additional comments or
5 questions?

6 (No response.)

7 DR. PAPPO: One of the points would be to
8 consider dose escalation, extra CNS and CNS tumors
9 at the same time and not to de-escalate when you
10 have a primary CNS tumor, the issue of considering
11 mandatory biopsy at the time of recurrence to try
12 to increase or at least try to validate the target
13 for this specific agent, and encourage the issue of
14 dose escalation.

15 I didn't understand if we should encourage
16 it or discourage it. If you have achieved your
17 optimal --

18 DR. WEIGEL: I think encourage it to achieve
19 optimal biologic dose which may not mean escalating
20 to maximally tolerated dose.

21 DR. PAPPO: Okay. Yes.

22 DR. GLADE BENDER: I just wanted to add to

1 Kathy's comment and ask a question of all of these
2 studies in general. We require that there be
3 measurable disease in order to enter on to certain
4 trials, but certainly, I would think for brain
5 tumors, there is often a reason to re-resect.

6 So I would ask if it were possible, maybe to
7 consider a way to be able to have the re-resection
8 specimen be the diagnostic biopsy, if you will, and
9 then allow patients who have no evidence of disease
10 to go on trial.

11 DR. PAPPO: Very good point.

12 Nita?

13 DR. SEIBEL: You used the term "mandatory
14 biopsy at the time of recurrence," I think, in your
15 summary. I guess I don't know if you can really
16 use that. Strongly suggest, but yes.

17 DR. PAPPO: Encourage biopsy or re-biopsy at
18 the time of recurrence.

19 DR. SEIBEL: Right, right.

20 DR. PAPPO: However, that is what we keep
21 saying, and we don't do it, right?

22 DR. SEIBEL: You can't mandate that.

1 DR. WARREN: Just to follow up, when you
2 don't make pharmacokinetic sampling mandatory, you
3 get less than 50 percent participation on phase 1
4 trials. So I think in order to participate on a
5 trial like this, unless you have a separate arm
6 like potentially DIPG -- but I guess that is an
7 afternoon discussion -- then I think we should do
8 it.

9 DR. SEIBEL: We will exclude patients then.

10 DR. WARREN: Answer the question.

11 DR. SEIBEL: This is a broader discussion,
12 but I don't think -- you have to take into account
13 the risk and the benefit.

14 DR. PAPPO: Dr. Reaman?

15 DR. REAMAN: I think it depends to some
16 extent on the objective of the study. It is hard
17 to imagine that you could have a biologic rationale
18 for enrolling a patient is that their tumor has the
19 target that is being inhibited, but you have no
20 knowledge of that a priori. It is a real question
21 as to what the prospect for clinical benefit is for
22 that patient.

1 I think I'm not sure that we can use the
2 word "mandatory," but I think in some situations,
3 something a little bit stronger than "strongly
4 recommended" might be necessary.

5 DR. SEIBEL: But you have to have tissue to
6 demonstrate the target.

7 DR. REAMAN: Right, right.

8 DR. SEIBEL: It's more the timing. Right.

9 DR. PAPPO: There are certain things that
10 are not going to change.

11 DR. REAMAN: If you have archival tissue
12 that demonstrates the target, that's fine, but I
13 think that's the issue or the point that I was
14 trying to make.

15 DR. PAPPO: There are certain things that
16 are not going to change at the time of recurrence.
17 The NTRK fusion is not going to change. So if you
18 have archival tissue, that's okay.

19 But if you have a patient with
20 neuroblastoma, you want to give them a RAS pathway
21 inhibitor, and you know that 70 percent of
22 neuroblastomas come back with a RAS mutation, then

1 you are going to have to biopsy that. I think it
2 is a whole range of things.

3 DR. SEIBEL: Right, and we will find out
4 more about that as things proceed.

5 DR. PAPPO: Correct, correct.

6 DR. SEIBEL: How many mutations develop from
7 the time of diagnosis versus recurrence or multiple
8 recurrence?

9 DR. PAPPO: Okay.

10 DR. NEVILLE: I was just going to say with
11 some of the other trials, how we have handled it is
12 that the biopsy is done if it is standard of care,
13 and I would argue that, like Greg said, with the
14 advent of biologics, even if it is an experimental
15 drug, if you are going to treat someone with a
16 biologic, you can argue it is standard of care.

17 The other thing is it depends on the
18 risk-benefit of the biopsy, right? So there will
19 be some kids who the recovery or the risk will be
20 too great, and then maybe they are not eligible.

21 DR. PAPPO: Thank you.

22 Did you get all that? I don't have to

1 summarize that, right? That was a lot of back-and-
2 forth.

3 (Laughter.)

4 DR. PAPP0: I don't want to say "mandatory"
5 again.

6 Now, we will move to question number 3.

7 DR. ERSHLER: Please consider the toxicity
8 profile of entrectinib in adults and discuss
9 whether there are unique safety concerns related to
10 potential short- and long-term toxicities from the
11 use of entrectinib in pediatric patients. Also,
12 discuss potential ways to mitigate these risks.

13 DR. PAPP0: If there are no questions or
14 comments concerning the wording of the question, we
15 will now open the question for discussion.

16 Steve?

17 DR. DUBOIS: Just the experience in children
18 receiving long-term crizotinib therapy has been a
19 signal of renal toxicity, and it's not clear to me
20 and I don't know if it is clear to anyone the
21 mechanism of that. But if that is in some way due
22 to an on-target ALK or ROS1 effect of crizotinib,

1 then that would certainly be something relevant to
2 be monitored in this setting as well.

3 DR. PAPPO: Anybody else?

4 Yes, Ms. Haylock?

5 MS. HAYLOCK: In this setting, how are you
6 defining long-term effects, because right now,
7 long-term effects are decades later and a lot of
8 these or most aren't going to survive that long?
9 So are we talking a couple of years is a long-term
10 effect or longer?

11 I think with a lot of these medications, I
12 am not sure we really have any clue what the
13 long-term effects are if these people survive to
14 adulthood.

15 DR. PAPPO: I will try to tackle that, and
16 then I will be happy for you all to add on or say I
17 was wrong.

18 I think that there might be a subset of
19 patients here that actually could survive
20 long-term. If you have a patient with infantile
21 fibrosarcoma in which you are able to resect the
22 lesion with negative margins, it will be very

1 likely that it will not come back.

2 I don't know that we know a lot about the
3 long-term effects of the inibs. It is a relatively
4 new era since the 2000s when we started with
5 Gleevec. So that is really not long-term follow-
6 up, and I think that as we study this group of
7 survivors, it is going to be a whole new generation
8 of side effects that we are unaware of.

9 We are going to have to be very, very
10 vigilant about some of these things, just like
11 Steve mentioned about the renal toxicity.

12 I think, also, that we need to be extremely
13 vigilant about the neurocognitive effects and the
14 developmental effects of this drug, especially in
15 the younger group of patients with a high rate of
16 CNS penetration of this, and I assume that that is
17 being prospectively collected in the protocol.

18 Greg?

19 DR. REAMAN: I would say I think the
20 question was really designed for consideration of
21 monitoring rather than mitigation of toxicities. I
22 think the question was also designed to think about

1 if the drug does have activity, if the drug ends up
2 being approved, if the drug does enter clinical
3 practice, what can we think about monitoring as far
4 as long-term potential toxicity.

5 It is not the immediate patient population
6 that is enrolling on early phase studies, with rare
7 exception, like Dr. Pappo mentioned, but it is
8 really what kind of things should we be thinking
9 about now, as everyone thinks targeted drugs are
10 great because they are so nontoxic.

11 But as it turns out, they are toxic. They
12 just have different types of toxicities. So that
13 was really the intent of the question.

14 DR. PAPP0: Anybody else?

15 (No response.)

16 DR. PAPP0: I think that the best way to
17 summarize this is that we have to be vigilant about
18 the long-term toxicities. There might be some
19 off-target effects that we are not aware of, and we
20 just need to be very aware if this moves forward.
21 And we have long-term survivors to monitor
22 different toxicities other than just what we would

1 expect. For example, the renal toxicity example
2 that you gave, Steve.

3 Question number 5.

4 DR. ERSHLER: Please address whether
5 evaluation of this drug in pediatrics would require
6 international collaboration.

7 DR. PAPPO: If there are no questions or
8 comments concerning the wording of the question, we
9 will now open the question for discussion.

10 DR. REAMAN: I think we covered this
11 probably sufficiently in all of our previous
12 discussions. Rare tumors, small populations, the
13 only way to overcome that challenge is to
14 collaborate, collaborate, collaborate.

15 DR. PAPPO: Yes, Julie?

16 DR. GLADE BENDER: Although the
17 neuroblastoma question may be able to be answered
18 more swiftly here without an international
19 collaboration.

20 DR. PAPPO: Ira?

21 DR. DUNKEL: This is a little bit maybe
22 tangential to the question, but I am wondering for

1 the ultra-rare patients that we are talking about
2 today, not the neuroblastoma, but the TRK fusions,
3 now we are talking about two companies, two trials
4 that compete for the same patients. What are the
5 implications of having more than one agent even for
6 international collaboration for an extremely rare
7 population?

8 DR. PAPP0: Good point. If anybody wants to
9 tackle that one?

10 Dr. Reaman?

11 (Laughter.)

12 DR. REAMAN: Isn't it a wonderful situation
13 to be in? Have we ever been in this situation
14 before? I think it is something that we will have
15 to address as these studies progress and as
16 development progresses as we learn more about each
17 of these products.

18 I don't think there is any way to
19 prospectively prioritize, predict at this time, and
20 we are certainly not in a position to do so. But I
21 think it will all play out, and I think we have
22 heard the phrase before, "If you build it, they

1 will come."

2 We never thought that there would be
3 patients enrolling on trials of gastrointestinal
4 stromal tumors, and, sure enough, they were. So we
5 will just see what happens.

6 DR. PAPPO: Brenda?

7 DR. WEIGEL: Brenda Weigel. I would just
8 like to add a couple comments of support and echo
9 what Dr. Reaman said. But I think what we have
10 heard about these two agents is they are not
11 identical. The first agent is a much more
12 selective TRK inhibitor, if I have understood what
13 the presentation involved, and then this one has
14 additional ALK targeting and CNS differences, I
15 think.

16 They may be different, and they both may
17 have a place, depending on the patient population.
18 I think we just don't know. And I think at this
19 point in time, to limit our options would be not
20 prudent, that it is worth exploring both, because I
21 think they are fundamentally different drugs and we
22 need to learn.

1 I think the patient population, especially
2 if we think internationally, is there and it
3 doesn't take very many patients if we hit some
4 pretty big targets. I think I would very much
5 encourage keeping all our options on the table,
6 because I don't think they are identical drugs and
7 we have a lot to learn.

8 DR. PAPPO: Thank you very much.

9 Any other comments?

10 (No response.)

11 DR. PAPPO: This will be a bullet summary.

12 Bullet number 1, yes to international
13 collaboration. Bullet number 2, neuroblastoma may
14 be not needed for international collaboration.
15 Bullet number 3, worth pursuing all these agents
16 and all these drugs, because they might have
17 different indications for select populations of
18 patients.

19 Now we will go to question number 6.

20 DR. ERSHLER: Please comment on the adequacy
21 of the current pediatric formulation and any future
22 plans for the pediatric formulation.

1 DR. PAPPO: If there are no questions or
2 comments concerning the wording of the question, we
3 will now open the question for discussion.

4 Steve and then Brenda.

5 DR. DUBOIS: I will just point out that
6 there is compassionate use experience using the
7 capsules opened and sprinkled on food, and might
8 encourage the sponsor to think about not delaying
9 evaluation in younger patients until their granule
10 formulation is available. We have a track record
11 of doing that, for example, with pediatric
12 development of sunitinib.

13 DR. WEIGEL: I would encourage, as the
14 sprinkle formulation is developed, to very
15 carefully try to standardize that, look at
16 solubility, binding to plastics, and really ensure
17 equivalent bioavailability, if at all possible, to
18 the delivery of both potentially the opened
19 capsules and try to optimize that as much as you
20 can, as it sounds like solubility is a big issue.

21 DR. PAPPO: Yes, Dr. Reaman?

22 DR. REAMAN: I would just caution with the

1 extemporaneous compounding of opening capsules,
2 sprinkling on food, making sure that what food it
3 gets sprinkled on doesn't interfere with
4 bioavailability. If this is medication that is
5 going to be administered at home, that there are
6 appropriate instructions to parents, caregivers,
7 about opening capsules, sprinkling on food, and
8 what to do with leftover capsules' contents.

9 DR. PAPPO: Thank you.

10 Dr. Neville?

11 DR. NEVILLE: Just to echo and build on what
12 Dr. Reaman said -- Kathleen Neville. I would
13 encourage the sponsor to really get going on the
14 bioavailability studies because you are sprinkling
15 on food, and we don't know between suspension and
16 food, food, no food, different foods, different
17 juices.

18 We did some work where apple juice
19 interfered with absorption, something you wouldn't
20 expect. So I think before widespread use in that
21 patient population, those studies need to be done.

22 DR. PAPPO: Any additional comment?

1 (No response.)

2 DR. PAPP0: We would encourage you to
3 develop your granule formulation, and in the
4 interim, when you do the capsules, to do
5 bioavailability studies to try to optimize the use
6 of this drug and to be better define what are the
7 factors that may interfere with the bioavailability
8 of the drug, like type of food, et cetera, et
9 cetera.

10 Did I cover everything or do I need to say
11 anything else?

12 (No response.)

13 **Adjournment**

14 DR. PAPP0: Be sure that adequate
15 instructions are given to the family of how much to
16 sprinkle, which foods to sprinkle it with, and what
17 to do with the leftover medicine.

18 We will now break for lunch, and we will
19 reconvene in this room at 1:00 p.m. Panel members,
20 please remember that there should be no discussion
21 of the meeting topic during lunch amongst
22 yourselves or with any member of the audience.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Thank you.

(Whereupon, at 11:30 a.m., the morning session was adjourned.)