

Food and Drug Administration
Center for Biologics Evaluation and Assessment

113th Meeting of the
Blood Products Advisory Committee

June 20, 2016

FDA White Oak Campus
Building 31, Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

Table of Contents

| | |
|--|----|
| Call to Order and Opening Remarks Susan Leitman, M.D., Acting Chair, BPAC | 1 |
| Recognition of Retiring Members Peter Marks, M.D., Ph.D., Director CBER, FDA | 4 |
| Conflict of Interest Statement Bryan Emery, LCDR, Designated Federal Officer, BPAC | 6 |
| Topic 1: Review of the Research Programs in the Laboratory of Plasma Derivatives, Division of Hematology Research and Review, OBRR | |
| Overview of CBER Research Programs Carolyn Wilson, Ph.D., CBER FDA | 8 |
| Overview of OBRR Research Programs CD Atreya, Ph.D., OBRR FDA | 17 |
| Overview of the Division of Hematology Research and Review Research Programs Basil Golding, M.D., OBRR FDA | 22 |
| Overview of the Laboratory of Plasma Derivatives Dorothy Scott, M.D., OBRR FDA | 30 |
| Questions for the speakers | 66 |
| Open Public Hearing | 73 |

1 P R O C E E D I N G S (8:30 a.m.)

2 **Agenda Item: Call to Order and Opening Remarks**

3 **Susan Leitman, M.D., Acting Chair, BPAC**

4 DR. LEITMAN: Hello to all the committee members
5 of the Blood Products Advisory Committee. This is Dr.
6 Susan Leitman speaking. I am the acting chair for this
7 BPAC meeting in the absence of the usual chair, Dr. Chris
8 Stowell.

9 I am calling to order the 113th meeting of the
10 Blood Products Advisory Committee. I would like to
11 introduce the committee members of BPAC who are
12 participating on this phone call. I'm going to read them
13 alphabetically and after I state your name, could you tell
14 us who you are and give us a little introduction to
15 yourself? I will start with Dr. Basavaraju.

16 DR. BASAVARAJU: Hi, I am here. I'm a medical
17 officer with the CDC office of blood, organ, and other
18 tissue safety.

19 DR. CHITLUR: Hi, I'm Meera Chitlur. I'm a
20 pediatric hematologist at the Children's Hospital of
21 Michigan and the director of the HTC here.

22 DR. LEITMAN: And I would like to introduce Dr.
23 Chitlur to the committee. You are a new member of BPAC, is
24 that correct?

25 DR. CHITLUR: Yes, I am. Thank you.

1 DR. DURKALSKI: Thank you. Hi, everyone. This is
2 Valerie Durkalski and I am a biostatistician at the Medical
3 University of South Carolina in Charleston.

4 DR. RAGNI: Hi, I am an adult hematologist, a
5 professor of medicine at the University of Pittsburgh, and
6 director of the Hemophilia Treatment Center here.

7 DR. LERNER: Hi, I'm a pediatric hematologist and
8 senior advisor to the director in the Blood Division of
9 NHLBI at the NIH.

10 DR. LEITMAN: Thank you. Mr. Robert Rees, who is
11 also a new member at BPAC attending his first committee
12 meeting.

13 DR. REES: Good morning. This is Robert. I am
14 the manager of the regulatory and compliance program for
15 the New Jersey Department of Health.

16 DR. SCHEXNEIDER: Hello, I am Katherine
17 Schexneider. I am a transfusion consultant at Walter Reed
18 National Military Medical Center, having just moved down to
19 Ft. Belvoir Community Hospital as the director of education
20 training and research, and looking to transition my duties
21 onto a new person in the coming months. Thank you.

22 DR. LEITMAN: Thank you. Our industry
23 representative is Dr. Toby Simon.

24 DR. SIMON: Good morning. I'm a senior medical
25 director with CSL Behring.

1 DR. LEITMAN: Okay, and we are joined by two
2 temporary voting members. The first is Judith Baker.

3 DR. BAKER: Yes, good morning. Hi, Judith Baker
4 here, public health director for the Center for Inherited
5 Blood Disorders in Orange County which serves as the
6 grantee for the Western States Region 9 Hemophilia
7 Treatment Centers. I'm also adjunct assistant professor at
8 the University of California, Los Angeles, pediatric
9 hematology.

10 DR. LEITMAN: Thank you very much for joining us,
11 and the site visit chair was Dr. Francisco Bonilla who is a
12 previous BPAC member. He is not present now and will join
13 us at about noon to give us a summary of the site visit,
14 which he chairs.

15 I am going to pass this over to Bryan Emery who
16 will introduce other attendees to this meeting.

17 LCDR EMERY: This is Bryan Emery and I am the DFO
18 for the Blood Products Advisory Committee. Good morning.
19 Mrs. Joanne Lipkind is the committee management specialist
20 for BPAC. She is also in the room. Actually, I will start
21 with Dr. Wilson at the table to introduce herself and we'll
22 go -- actually, we will start with Dr. Marks to my left and
23 we'll start there.

24 DR. MARKS: Hi, this is Peter Marks. I am the
25 center director for the Center of Biologics Evaluation

1 Research at FDA.

2 DR. WILSON: Carolyn Wilson, associate director
3 for research at Center of Biologics.

4 DR. EPSTEIN: Jay Epstein, director of Office of
5 Blood Research and Review, CBER.

6 DR. ATREYA: CD Atreya, the associate director for
7 Office of Blood Research and Review, CBER.

8 DR. GOLDING: Basil Golding, division director of
9 Division of Hematology Research and Review.

10 LCDR EMERY: There are a few people in the
11 audience who I believe are -- Tara Goodin is also here.
12 She is from the Office of Media Affairs and Dr. Scott is on
13 the phone. I'll let Dr. Scott introduce herself.

14 DR. SCOTT: Yes, Dorothy Scott, Center for
15 Biologics, Office of Blood, Laboratory of Plasma
16 Derivatives.

17 LCDR EMERY: Dr. Prabha Atreya is also in the
18 audience, and Jennifer Scharpf is in the audience, and
19 there are other members in the audience at this time, of
20 the laboratory as well.

21 I will now turn the time over to Dr. Marks.

22 **Agenda Item: Recognition of Retiring Members**

23 **Peter Marks, M.D., Ph.D., Director CBER, FDA**

24 DR. MARKS: Thanks very much. First of all, thank
25 you everyone today for joining and taking the time to

1 participate. I just wanted to recognize the four retiring
2 members from the BPAC who will be going, rotating off in
3 September of 2016. I will just say their names and a few
4 of the issues that they worked on.

5 All of them are rotating off in September 2016
6 but the first, Mr. Corey Dubin, who is a consumer
7 representative who started in May of 2012 and who was
8 involved in several issues including advice on the blood
9 donor deferral policy for MSM, the discussion of HYQVIA, a
10 subcutaneous immunoglobulin preparation, and also discussed
11 our reentry protocols for donors based on Chagas test
12 results.

13 The second person is on our call now, which is
14 Dr. Durkalski who started in November of 2012 and who also
15 participated in the discussion of HYQVIA as well as
16 strategies for implementation of serological and nucleic
17 acid testing for babesia and the potential discontinuation
18 of hepatitis B surface antigen testing of blood and blood
19 components intended for transfusion.

20 The third person, also on this call right now, is
21 Dr. Schexneider who served from November of 2012 and she
22 was involved in discussion of hepatitis E virus and blood
23 transfusion safety, discussed the Octapharma biologics
24 license application for octoplasLG for solvent/detergent
25 plasma -- solvent/detergent-treated plasma -- and also

1 discussed the reentry of blood donors deferred on the basis
2 of Chagas test results.

3 Finally, Dr. Toby Simon, who served also from
4 November 2012 and who was involved in a number of
5 discussions including those on strategies for
6 implementation of serological nucleic acid testing for
7 babesia for the appropriate classification of blood
8 establishment computer software, otherwise known as BECS,
9 and also discussed the MSM deferral issue.

10 So we really thank you so much for your
11 contributions. Without your input, it would be impossible
12 to do what we do, and in coming to some of our conclusions,
13 so we very much appreciate it. Good luck as you rotate
14 off, and we will maybe see some of you in the future again.

15 Thanks again.

16 **Agenda Item: Conflict of Interest Statement**

17 **Bryan Emery, LCDR, Designated Federal Officer,**

18 **BPAC**

19 LCDR EMERY: All right, I would like to also thank
20 everybody for attending. I'd like to request that everyone
21 check your cell phones to make sure that they are turned
22 off or in silent mode or muted.

23 Also, I request that you speak clearly and loudly
24 into the phone or microphone so the transcriber will hear
25 you. John Bowers is our transcriber this day.

1 I will now read the COI statement into the public
2 record. The Food and Drug Administration is convening
3 today's meeting of the Blood Products Advisory Committee
4 under the authority of the Federal Advisory Committee Act,
5 FACA 1972.

6 With the exception of the industry
7 representative, all participants of the committee are
8 special government employees, SGEs, or regular federal
9 employees from their agencies that are subject to the
10 federal conflict of interest laws and regulations.

11 The following information on the status of the
12 Advisory Committee's compliance with federal conflict of
13 interest laws, including but not limited to, 18 US Code
14 section 208 of the federal Food, Drug, and Cosmetic Act is
15 being provided to participants at this meeting and to the
16 public. FDA has determined that members of the Advisory
17 Committee are in compliance with federal ethics and
18 conflict of interest laws.

19 Today's agenda includes an overview of the
20 research programs in the Laboratory of Plasma Derivatives,
21 Division of Hematology, Office of Blood Research and
22 Review, Centers for Biologics Evaluation. This overview is
23 a non-particular matter based on the agenda. It has been
24 determined that this overview presents no actual or
25 appearance of a conflict of interest.

1 In closed session, the committee will review and
2 discuss the report from the FDA site visit team. Toby
3 Simon is serving as the industry representative acting on
4 behalf of all related industry. He is employed by CSL
5 Behring. Industry representatives are not special
6 government employees and do not vote. The conflict of
7 interest statement will be available for review at the
8 registration table.

9 We would like to remind members, consultants, and
10 participants that if discussions involve any products or
11 firms not on the agenda for which an FDA participant has a
12 personal or imputed financial interest, that participant
13 needs to exclude themselves from such involvement. The
14 exclusion will be noted for the record. FDA encourages all
15 other participants to advise the committee of any financial
16 relationships that you may have with the firms that could
17 be affected by the committee discussions.

18 Thank you. I will now turn the time over to Dr.
19 Wilson to start her first.

20 **Topic 1: Review of the Research Programs in the**
21 **Laboratory of Plasma Derivatives, Division of Hematology**
22 **Research and Review, OBRR**

23 **Agenda Item: Overview of CBER Research Programs**
24 **Carolyn Wilson, Ph.D., CBER FDA**

25 DR. WILSON: Thank you, Bryan, and good morning to

1 the committee. I want to just start by acknowledging that
2 in addition to Dr. Bonilla, also Dr. Christopher Stowell
3 served as the site visit cochair. So we are grateful to
4 both of them for their leadership during that review.

5 I will try to give you a fairly high overview of
6 the research program, and my presentation will then be
7 followed by presentations from each of the other levels at
8 the office division and then finally, but really most
9 importantly for today, is you will be hearing from Dr.
10 Scott, the chief of Laboratory of Plasma Derivatives where
11 she will give you an overview of that laboratory's
12 activities, regulatory as well as research.

13 So on the next slide, I am going to just
14 introduce you to how we view the use of research to advance
15 our ability to advance product development using regulation
16 and science.

17 So the way we think of it is that a public health
18 need drives the development of a novel product. That
19 product may pose regulatory challenges. Often there is a
20 gap in our full understanding of the science around it to
21 fully be able to evaluate risks and benefits.

22 As we go forward, then, that's where regulatory
23 science can help address some of those needs through a
24 combination of discovery research as well as targeted
25 development of new tools. So in some cases, that may be,

1 for example, development of new reference materials that
2 can help evaluate important laboratory tests that are used
3 to evaluate a product. It could be that there is not a
4 good nonclinical model to evaluate the product, and the
5 type of work we do is usually looking across a class of
6 products to help advance a group of products rather than
7 one specific product, which is really what industry does.

8 So as we generate new science and information
9 from that regulatory science inquiry, that puts us also in
10 a better place to develop regulatory policy and guidance to
11 our sponsors and to inform our decision making based on the
12 best available science.

13 As we get better data back from the sponsors
14 that's filling some of those gaps, we are in a better
15 position to understand the benefits and the risks of that
16 product. In the end, we hope to license a product that's
17 going to have that positive impact we all hope for that
18 public health need that drove the development.

19 And it doesn't stop there because we then need to
20 continue with post-market surveillance for adverse events
21 or sometimes there are additional commitments to gain
22 additional efficacy data.

23 So our staff are composed of what are called
24 researcher-regulators or researcher-reviewers and what
25 these represent are scientific staff members who spend

1 about 50 percent of their time overseeing a research
2 program, and the rest of their time they are doing the same
3 types of activities as full time reviewers.

4 What that means is that they are not only
5 reviewing submissions to the agency, but also maybe going
6 out on inspections, writing guidance documents, organizing
7 workshops or advisory committees, and because they are both
8 very active members of the scientific community, going out
9 to their own scientific professional clinical relevant
10 meetings, they therefore are seeing things before they come
11 into the agency and can be sort of proactive in thinking
12 about areas that we need to be preparing for scientifically
13 and understanding better.

14 But also by having that broader view of the
15 products that are already in-house, they may be able to
16 identify gaps that can best be addressed by our staff to,
17 again, promote a whole class of products going forward.
18 Through this means, this sort of individual who has dual
19 roles, it helps us to make sure that we are integrating the
20 research and the review activities and using our resources
21 in the best available manner.

22 We don't do this all by ourselves. We do heavily
23 collaborate with the outside and this is from our last
24 year's research reporting database showing that we
25 collaborate really across the country as well as globally.

1 Especially in the Office of Blood, there is a lot of
2 international engagement through the World Health
3 Organization, for example, as well as other international
4 entities.

5 This represents a large segment of collaborations
6 with academia as well as other government agencies,
7 nonprofit, state and local government, and some industry
8 collaboration as well that is managed appropriately for
9 conflict of interest.

10 We have a research reporting database whereby we
11 use this to evaluate our research programs on an annual
12 basis. The PIs develop a report of what's been going on in
13 the past year, their plans for the coming year. This is
14 associated with the budget request. We collect their
15 relevant presentations, publications, other output may be
16 represented by things like employee invention reports or
17 patent applications, licensing, and so on. This is
18 reviewed at multiple levels and it's looked at for
19 relevance, productivity, and quality, and then funding is
20 allocated accordingly.

21 In addition to that annual sort of management
22 review, we also do a cyclic peer review of every PI every
23 four years and one aspect of that cyclical review is what
24 you will be discussing later today in closed session with
25 is an external site visit, which is peer review by the

1 experts in the field. That report becomes part of a larger
2 package that goes to an internal peer review committee
3 called the Promotion, Conversion, Evaluation Committee.

4 You may also hear me refer to that as the PCE.

5 The report that you will be looking at today is a
6 draft report that was developed by the site visit team. It
7 comes to you today for review. You have three options.
8 You can approve it as written, you may wish to amend it, or
9 you may choose to send it back to the site visit team for
10 more dramatic changes.

11 Once it is approved by the Advisory Committee,
12 then it can be used in a variety of ways. As I mentioned,
13 it becomes part of a larger package for PCE for looking at
14 personnel actions as well as cyclic review. The PIs take
15 the recommendations and the site visit report very
16 seriously in looking at their own research program in
17 future directions. Then management also takes into account
18 the recommendations with regard to resource allocation
19 decisions.

20 Again, as I mentioned, you have three different
21 choices in terms of how you address the report today.

22 Quickly want to just review a few new things. We
23 have a peer mentoring program. We moved to White Oak now
24 about two years ago, and a new research management process
25 that we are standing up this year to help enhance most

1 effective use of our research resources.

2 The new governance process is around two major
3 new committees, a resource committee that's going to be
4 looking at the annual budget and research planning and this
5 includes not just research resources but resources for the
6 entire center. That's going to be interfacing with the
7 Regulatory Science Council which is going to be looking at
8 center-level goals, office-level objectives, and providing
9 oversight and portfolio review of all of CBER's research
10 activities. Both of these are advisory then to the center
11 director and deputy director.

12 Already we have had two meetings at the
13 Regulatory Science Council and in our first meeting, we
14 developed four new goals for the center for 2016.

15 The first is to advance the scientific basis for
16 regulation of our products, to enhance safety
17 effectiveness, quality, and consistency through development
18 and evaluation of new concepts, methods, models, and
19 reagents. The second is to develop and assess nonclinical
20 methods and models with improved predictive value and as
21 feasible, reduce, refine, or replace the use of animals for
22 evaluation of safety and effectiveness of our products.

23 Third is looking at clinical evaluation related
24 to our products through the use of new biomarkers, large
25 scientific and healthcare datasets, innovative design and

1 analysis of clinical studies, applying new statistical,
2 epidemiological, and mathematical modeling approaches, as
3 well as considering patient input to inform assessment.

4 The final one, which is really more sort of an
5 infrastructure and cross-cutting goal, is to prepare for
6 future regulatory and public health challenges through
7 investments in emerging science and technology and develop
8 and sustain varied scientific expertise.

9 We also developed a new research impact framework
10 which involves both portfolio- and project-level review.
11 So as I mentioned, the Regulatory Science Council is going
12 to be doing portfolio-level review and that's going to be
13 looking for alignment with major center- and office-wide
14 strategic initiatives and priorities. Also asking whether
15 or not the portfolio is helping us to build world class
16 review capability for both current and anticipated pipeline
17 of products we regulate.

18 Then finally, are we maintaining an agile set of
19 internal capabilities for addressing unexpected, urgent
20 public health needs? If anything, the last two years have
21 demonstrated the critical need for this last point, in
22 addition to the others.

23 Then we will also be including a peer review
24 component which will complement the external peer review of
25 a site visit but this will be going on on an annual basis

1 where one fourth of the projects will be looked at
2 individually through an internal peer review committee, and
3 they will be asked to determine whether we are maximally
4 using our unique perspective as regulatory scientists to
5 suggest scientific gaps and questions that are enabling our
6 ability to fulfill our regulatory mission. Obviously,
7 looking at the scientific merit and the PI's historical
8 productivity.

9 So I will just finish where I started which is a
10 thank you, again, to the cochairs, Dr. Bonilla and Stowell,
11 as well as the rest of the site visit team and to you today
12 as well for your careful evaluation of the site visit
13 report. These external reviews are really important to
14 make sure that the research that we're doing is most
15 directed to the important questions that help us fulfill
16 our regulatory mission.

17 So thank you very much and I am happy to answer
18 any questions.

19 DR. MARKS: With no questions, we are going to get
20 Dr. CD Atreya ready in a moment to give his presentation.

21 PARTICIPANT: I have a suggestion, Dr. Marks.
22 Some of us are not using WebEx but are looking at the
23 slides that were sent to us by Bryan. So could the speaker
24 please say next slide so we know when they are advancing?

25 DR. MARKS: Will do. Thank you.

1 **Agenda Item: Overview of OBRR Research Programs**

2 **CD Atreya, Ph.D., OBRR FDA**

3 DR. ATREYA: Good morning. Thank you all for
4 being here for this important task that is the laboratory
5 site visit review. This is CD Atreya and I will briefly
6 give you the all review of our office that is Office of
7 Blood Research and Review.

8 Our office mission is to ensure the safety,
9 efficacy, and availability of blood products. This is
10 achieved through the regulation of blood and blood
11 components, plasma derivatives, and analogous products,
12 blood donor screening tests, and other medical devices
13 including software used to test, collect, process, or store
14 donated blood, and retroviral diagnostics.

15 We have a vision for our -- our functions of the
16 office are to establish policies and standards to assure
17 donor safety and safety purity and potency of blood and
18 blood products. Review of applications for investigational
19 and commercial use of blood products, blood-related drugs,
20 and devices and retroviral diagnostics.

21 We perform establishment inspections and product
22 investigations with OCBQ and other office FDA counterparts
23 and assist in regulatory compliance actions. We perform
24 health hazard evaluations and risk assessments of blood and
25 blood products. We engage in emergency preparedness --

1 example, like what happened two years ago, the Ebola, and
2 last year in this now, Zika virus outbreaks.

3 Then we also do the global outreach as Dr.
4 Carolyn Wilson mentioned and most of the Office of Blood
5 Research and Review is engaged with the WHO programs and I
6 will tell you a little bit more in the latter part of the
7 talk. We also do organize workshops on timely topics and
8 then we provide guidance and document that the research and
9 reviewers take a lead on that. We also conduct research,
10 facilitate the development, manufacture, and evaluation of
11 blood products and retroviral diagnostics.

12 The vision for research is to support FDA's
13 initiatives and regulatory science including medical
14 countermeasures to facilitate product development through
15 focus on scientific questions critical to effective
16 regulation. We concentrate in areas where our unique role
17 as regulators is most contributory, and we have a provision
18 of an infrastructure for the investigation of product
19 limitations and failures. We also participate in the
20 research programs that advance the innovation in research
21 areas that is going to be enriching the FDA's regulatory
22 science base.

23 We have resources to do the research and the
24 other tasks I mentioned to you. Our subject expertise
25 ranges from, as you can see from the slide, from virology,

1 retrovirology, a lot of topics we cover. We have 26
2 investigators, i.e., that is research-reviewer, initiated
3 programs. Actually these programs are approved by the
4 office and then they are located in two research divisions,
5 also product divisions, under seven laboratories.

6 And our programs, mainly the research programs,
7 are funded by both internal and external sources. The
8 internal sources include FDA, like Modernizing Science,
9 Medical Countermeasure Initiatives, Critical Path, Panflu,
10 and a lot of other things. Then the external resources
11 include NIH, mostly from the NIAID, NHLBI, NCI, and the
12 Clinical Center of NIH, and also through CRADAs and BARDA.

13 Our office program has the research goals and
14 there are three goals and then there are 13 objectives that
15 is slated for 2016 through 2020. The goal number one is to
16 assess and promote safety and effectiveness of approved and
17 in-development transfusion products.

18 Under that goal, we have several objectives. One
19 is the evaluation of ex vivo stored platelets and/or red
20 cells for safety, efficacy, toxicokinetics, development of
21 biomarkers of product quality including Omics-based
22 approaches, and microparticles-associated toxicities,
23 evaluation of the impact of the different manufacturing
24 processes on quality of plasma proteins, and evaluation of
25 the safety and effectiveness of blood substitutes including

1 hemoglobin-based oxygen carrying solutions, platelet-like
2 products, and related biologics.

3 Goal number two is to assess and promote safety
4 and effectiveness of approved and in-development injectable
5 products. Under that, we have several objectives. The
6 objectives are development of approaches for predicting
7 immunogenicity of protein based therapeutics based on MHC
8 and mutations in deficient patients and study of
9 immunogenicity of replacement coagulation factor therapies.

10 The other one is studies of codon optimized
11 recombinant coagulation proteins to assure that increased
12 yield does not affect safety or efficacy. And the
13 evaluation of safety and efficacy of plasma-derived
14 products and their recombinant analogs including measures
15 of potency and risk factors for adverse reactions, and the
16 characterization of virus neutralizing antibodies in immune
17 globulin products.

18 Goal number three, we have six objectives, and
19 the goal is to assure and promote safety and effectiveness
20 of retroviral and other infectious agent diagnostics, donor
21 screening tests including development of standards, and
22 other devices and technologies used to -- in manufacture
23 and quality control of blood products.

24 Understanding the mechanism of transmission and
25 pathogenesis of retroviruses, hepatitis viruses, newly

1 emerging and reemerging blood-borne arboviruses and
2 selected neglected and tropical diseases agents to develop
3 effective strategies to combat these pathogens. And the
4 other one is maintaining blood products and other FDA-
5 regulated products free of the infectious agents of
6 transmissible spongiform encephalopathies and development
7 of strategies for detection and removal of these agents
8 from the blood.

9 I will just briefly give you, in the next slide,
10 the OBRR research accomplishments. Those are our --
11 roughly we have 87 publications in the peer-reviewed
12 journals, \$2.5 million intramural funding and \$1.8 million
13 funding from the NIAID, NHLBI, DOD, and DTRA. We have \$1
14 million funding through CRADAs and three cooperative
15 agreements development agreements CRADAs were established
16 in 2015. We supported 63, roughly, around 65, contract
17 research staff through these funding mechanisms.

18 As I mentioned to you before, Office of Blood
19 Research and Review also participates globally and for the
20 outreach activities and our office members are either
21 participants or members or observers in WHO initiatives on
22 a list of things as I show you in this slide. The
23 Collaborating Center for Biological Standardization, Expert
24 Committee on Biological Standardization, Blood Regulators
25 Network, Prequalification Program for diagnostics, European

1 Directorate for the Quality of Medicines and Healthcare,
2 Blood Transfusion sector, International Society of Blood
3 Transfusion Working Groups on Transfusion Transmitted
4 Diseases, Hemovigilance, and Global Blood Safety, and also
5 participate in the FDA, EMA, and Health Canada Blood
6 Cluster.

7 So in conclusion, and last slide, we believe that
8 the research is integral to the mission of OBRR and CBER,
9 and OBRR research facilitates product evaluation and
10 development and is aligned with the regulatory science
11 mission of CBER and FDA.

12 Thank you. Any questions?

13 LCDR EMERY: Okay, everybody on the phone, we were
14 able to make an adjustment so we can watch the slides on
15 your WebEx. Were there any questions?

16 All right, if there are no more questions, we are
17 going to go to our third speaker, which is Dr. Basil
18 Golding. He will give an overview of the Division of
19 Hematology Research and Review Research Programs.

20 **Agenda Item: Overview of the Division of**
21 **Hematology Research and Review Research Programs**

22 **Basil Golding, M.D., OBRR FDA**

23 DR. GOLDING: Good morning. My name is Basil
24 Golding. I am the division director of Division of
25 Hematology Research and Review. Before I start, I wanted

1 to thank, first of all, the site visit team, and second of
2 all, the Advisory Committee for convening today to do a
3 second-level review of our program. Your review and your
4 feedback is very important for us in maintaining the high
5 quality of our research.

6 So I'm going to slide two. This is just a brief
7 organizational cartoon of our division and you can see that
8 the division is divided into four laboratories. Starting
9 from the left, the Laboratory of Biochemistry and Vascular
10 Biology, Laboratory of Cellular Hematology, Laboratory of
11 Hemostasis, and the Laboratory of Plasma Derivatives, and
12 you see the number of PIs in each laboratory. So my job is
13 to provide you some background of the scope of regulatory
14 products that we review and the scope of research that is
15 related to these regulatory products.

16 In the next slides, I am not going to be covering
17 the research and review of the Laboratory of Plasma
18 Derivatives. That will be taken care of by Dr. Dorothy
19 Scott in a subsequent talk.

20 Going to the next slide, I'm not going to go with
21 this slide because it's been covered by previous speakers
22 and relates to the CBER mission.

23 So the next slide, the scope of regulation and
24 research in our division. As you have heard, research
25 helps solve regulatory problems. The Critical Path was

1 developed at the FDA several years ago, and the research
2 serves to enhance the expertise of scientific investigators
3 who have review responsibility for these products.

4 Scientific evaluation of biologic products derived from
5 blood include those isolated from blood or plasma and
6 analogous materials manufactured by recombinant DNA
7 technology, including transgenic technology.

8 In terms of the scope of the regulatory products
9 and starting to talk about the process, the applications
10 that we receive from industry include the whole spectrum of
11 applications that are submitted to the FDA, and include
12 biologics, drugs, and devices. So our reviewers need to be
13 up to date not only with the products but all the
14 regulations and laws related to all these different kinds
15 of products.

16 Most of the products that we review are diverse
17 complex proteins and in addition, we also review
18 carbohydrate polymers that are used for volume expansion.
19 The decision process is based on scientific data showing
20 safety, efficacy, and purity of the products, and the
21 decision making process involves internal review,
22 presentations to advisory committees, conferences with
23 manufacturers, and workshops.

24 The review research topics include looking at
25 coagulation products, looking at immunology, and with

1 protein therapeutics, immunogenicity of the proteins is
2 very critical. Protein structure and function is
3 researched. We also have research related to blood-borne
4 viruses and immune responses to these viruses. Research
5 related to oxygen-carrying compounds, many derived from
6 hemoglobin. And looking at platelet structure and
7 function, and also looking at red blood cell function.

8 So I'm starting with the Laboratory of Hemostasis
9 on the next slide. I am not going to go through the
10 coagulation cascade. It has two different pathways with
11 multiple protein products. Most of the products that are -
12 - most of the proteins that you see on the slide are
13 regulated by us and many of them have already been licensed
14 either as plasma derived products or as recombinant
15 products.

16 I am going to go through the different PIs from
17 the laboratory of hemostasis on the next slide. The first
18 PI I'm going to be talking about is Chava Kimchi-Sarfaty.

19 She works on synonymous and non-synonymous
20 mutations on protein structure and function. For example,
21 FIX. This is also related to codon optimization which is a
22 common strategy used in the manufacture of these products
23 and she has shown that some of the coding optimizations may
24 be beneficial and some may not be beneficial.

25 She also works on computational and experimental

1 techniques to investigate the outcome of changes in DNA
2 sequences of therapeutic proteins and is looking at the
3 role of ADAMTS13 in diverse hematologic conditions. As you
4 know, ADAMTS13 is involved in thrombocytopenic purpura

5 Going onto the next PI, Dr. Zuben Sauna -- he has
6 been working on pharmacogenetic determinants of
7 immunogenicity and has actually been published for
8 algorithms for predicting immunogenicity of recombinant
9 proteins based on HLA and TLR, the receptor, the T-cell
10 receptor 4 proteins that are presented in antigen-
11 presenting cells. He also uses predictors of
12 immunogenicity to reengineer molecules for optimal activity
13 and reduced risk of immunogenicity.

14 On the next slide, the first PI I am talking
15 about is Mikhail Ovanesov. He has developed and
16 standardized novel global hemostasis assays to assess the
17 pharmacokinetics, pharmacodynamics, and thrombogenicity of
18 plasma protein products to quantitate thrombogenic
19 impurities from FXI-A in FX concentrates and in immune
20 globulin products.

21 In fact, he has helped resolve a regulatory issue
22 where immune globulin products who were associated with
23 some products -- some products were associated with
24 increased thrombogenicity and he was able to show that it
25 was due to FXI-A and developed assays which were then

1 transferred to industry. He also studies the mechanisms of
2 action of chemically and genetically modified variants of
3 recombinant FVII-A.

4 Andrey Sarafanov examines the catabolic pathway
5 of FVIII by mapping epitopes in FVIII light chain for its
6 receptors, which are low-density lipoprotein and low-
7 density lipoprotein related receptors. This research could
8 not only help us understand better how FVIII is catabolized
9 but may lead to improvements in determining -- in making
10 products that have a longer half-life of FVIII. He also
11 has a project characterizing product-related impurities in
12 FVIII products.

13 In the next slide, I am moving to the Laboratory
14 of Cellular Hematology. This lab reviews red cell
15 components. So it includes red cells, platelets, and
16 plasma, and you can see there is a whole host of types of
17 submissions related to that. I'm not going to go through
18 them one by one, but I am going to go to the next slide to
19 talk about the PIs' research related to these products.

20 So Dr. Vostal is looking at the evaluation of
21 current and alternative pathogen reduction processes for
22 platelets, looking at the safety and looking for processes
23 which could optimize the pathogen reduction process. One
24 of the projects involves temperature cycled platelet
25 storage methods and this is actually involved in some

1 clinical studies.

2 Dr. Simak has been involved in characterization
3 of procoagulant extracellular vesicles and platelet
4 membrane disintegration in DMSO-cryopreserved platelets and
5 liquid stored platelets. So these are types of platelets
6 that are -- could be involved in long term storage and
7 could be very important for the military. He is also
8 looking at the evaluation of effects of engineers and
9 biologic nanoparticles on platelets, endothelial cells and
10 a plasma coagulation system.

11 Dr. Atreya has his first report of microRNA, a
12 specific microRNA, as a potential regulator of FVIII gene
13 in manifesting the disease phenotype in hemophilia A
14 patients. He is also published on changes on noncoding RNA
15 levels that correlate with storage lesion events in stored
16 red blood cells.

17 So this is the last laboratory that I am going to
18 cover. It's the Laboratory of Biochemistry and Vascular
19 Biology on the next slide. This cartoon shows you some of
20 the things that they look at. They primarily are looking
21 at hemoglobin oxygen carriers as substitutes for red cells
22 so they are looking at hemoglobin and the toxic effects of
23 it. They're looking at hemoglobin and its interaction with
24 haptoglobin and that complex and how that interacts with
25 macrophages.

1 On the next slide, the first PI I am talking
2 about is Dr. Abdu Alayash. His projects relate to
3 evaluating the safety and efficacy of hemoglobin-based
4 blood substitutes, exploring human hemoglobin mutants in
5 the search oxidative stability in hemoglobins.

6 Then we go to the next PI, Felice D'Agnillo,
7 looking at vascular biomarkers of blood-derived product
8 toxicity in cell culture and animal models of endothelial
9 dysfunction, and also looking at the vascular pathogenesis
10 of microbial pathogens.

11 On the next slide is the PI Paul Buehler. He has
12 been looking at development of preclinical models of
13 vascular endothelial dysfunction to evaluate the safety of
14 aged red blood cells. He looks at the attenuation of
15 pathophysiology in beta-thalassemia, and has a project
16 related to drug-induced hemolysis, hemolytic uremic
17 syndrome, and a TTP-like state caused by intravenous abuse
18 of crushed sustained release opioid preparations. This is
19 obviously in collaboration with people in the Center for
20 Drugs.

21 So thank you for your attention, and again, thank
22 you for helping us with our research revision review. Does
23 anybody have questions?

24 LCDR EMERY: If there are no questions, we will
25 go to the phone to listen to Dr. Dorothy Scott give her

1 presentation. If, Dr. Scott, if you could tell us next
2 slide, we will turn the slides as you talk. Thank you.

3 **Agenda Item: Overview of the Laboratory of Plasma**
4 **Derivatives**

5 **Dorothy Scott, M.D., OBRR FDA**

6 DR. SCOTT: I will indeed and I just want to make
7 sure that everybody on the phone and in the room is able to
8 hear me.

9 Okay, hold on to your hats because this is a long
10 one. First, I will start with the overview of our
11 Laboratory of Plasma Derivatives Research Program and then
12 we will go onto the specific research and what the
13 principal investigators in our group have been doing.

14 Next slide, please.

15 Our mission statement is to meet the public
16 health needs for safe and effective products by performing
17 high quality research that directly impacts the safety,
18 effectiveness, and availability of our products.

19 By way of background, we are direct descendants
20 of the Laboratory of Hygiene which was started in 1887 and
21 through many iterations, we became the NIH Division of
22 Biologics Control in 1937, then the FDA Bureau of Biologics
23 in 1972 and finally, CBER from 1988 to present. We became
24 a part of CBER.

25 Our earliest immune globulin licensures occurred

1 in 1903 when three diphtheria immune globulins were
2 licensed on the same day. The important part of this and
3 reason that I show it is to tell you that we are very
4 historically grounded and we have a very long institutional
5 memory. This has given us a profound understanding of our
6 products as they've evolved and continue to evolve. We
7 also have a great sense of personal responsibility for
8 these products and for the patients who receive them.

9 Next slide, please.

10 This is the organizational chart for the
11 Laboratory of Plasma Derivatives. I am the lab chief and
12 Michael Kennedy is the team leader. There are four
13 sections -- the immunology section, host responses section
14 headed by Jennifer Reed, innate immunity section headed by
15 Basil Golding, and the safety and quality section headed by
16 Pei Zhang. The names in yellow are our fellows who are up
17 for convergence to permanent FTEs as a part of the site
18 visit.

19 Next slide.

20 We have 39 licensed products. I just want to
21 give you a flavor of their diversity. The immune
22 globulins, these are the general or nonspecific immune
23 globulin as we call them, which are indicated for primary
24 immune deficiency, ITP -- not all products have all the
25 indications -- chronic inflammatory demyelinating

1 polyneuropathy, multifocal motor neuropathy, Kawasaki
2 disease, and some secondary immune deficiencies.

3 We also have a host of specific immune globulins.
4 These are enriched for certain specificities for hepatitis
5 B virus, anthrax, cytomegalovirus, hepatitis A virus,
6 tetanus, rabies, vaccinia, varicella, infant botulism, and
7 prevention of newborn hemolytic disease, and that's not
8 all.

9 Next slide.

10 We also regulate the antivenoms and antitoxins,
11 and so these are made from animal serum or plasma and they
12 are used to treat coral snake envenomation, rattlesnake
13 envenomation, black widow spider bites, scorpion
14 envenomation, botulism, and digitalis intoxication. We
15 also have the anti-thymocyte globulins which are used to
16 treat certain kinds of transplant rejection, and we have
17 alpha-1 proteinase inhibitor for treatment of emphysema in
18 alpha-1 proteinase inhibitor deficiency.

19 Next.

20 This is sort of a list of our regulatory
21 activities between 2011 and 2015 and if you look at it, you
22 can see that we have a fairly, well, we think we have a
23 regulatory burden. That's not a complaint, but we do have
24 a lot of interesting things going on. We've reviewed 414
25 BLA supplements and 9 original BLAs with 3 more in-house

1 right now, 33 original investigational new drug
2 applications, and a whole lot of IND amendments.

3 We participated in at least 115 pre-submission
4 meetings, a number of facility inspections both on-site and
5 by phone as product specialists, and we participate in
6 international studies for reference standards that are used
7 for lot release of our products.

8 Next.

9 So I am just outlining a few of our regulatory
10 accomplishments. In particular, we have addressed in the
11 last several years a major adverse advent causing
12 impurities in immune globulin products, including the
13 presence of coagulation FXI-A which can cause thrombosis in
14 patients, from 2010 to the present in collaboration with
15 the lab of Dr. Mikhail Ovanesov in the Lab of Hemostasis.
16 We provided samples and he discovered that this contaminant
17 is highly implicated in some batches which seem -- of
18 immune globulin -- which seemed to cause thrombotic events
19 in patients.

20 That's since taken care of by the development of
21 standards but also working with the manufacturers. They
22 have been able to understand the root causes and make
23 changes in manufacturing to prevent this contaminant from
24 co-purifying with immune globulin. So nothing has really
25 happened just at one point. There is a long process,

1 really, to improve the product.

2 We are also working on effects of hemolytic
3 antibodies that naturally co-purify in immune globulins to
4 find out how those can be minimized also in products,
5 especially for patients who receive high doses of immune
6 globulins.

7 Just very quickly, again, the licensures that we
8 have overseen in the last several years have included those
9 for counterterrorism products, including the first CBER
10 animal rule product licensure for anthrax immune globulin.
11 We've also licensed botulinum antitoxin. We have a number
12 of orphan products including the antivenoms and varicella
13 zoster immune globulin that were licensed in the past
14 several years. We have licensed a couple of subcutaneous
15 immune globulins, intravenous immune globulins, and another
16 liquid form of the alpha-1 proteinase inhibitor.

17 Next.

18 We've developed a number of standards. I won't
19 go over all of these. I think you can read it for
20 yourself. We are involved in with continued standards
21 development, both new standards and qualifying new standard
22 lots for immune globulins and alpha-1 proteinase inhibitors
23 and other treatments.

24 We planned and chaired workshops related to
25 product safety, including workshops on thrombosis and

1 hemolysis related to immune globulin products. We've
2 initiated Advisory Committee topics and spoken on those
3 topics in front of the BPAC, and we have participated in
4 national and international scientific and regulatory
5 conferences.

6 Next.

7 So the research of course is very strongly
8 related to the regulation and most of the rest of the
9 slides will be devoted to the research portion, but there
10 is always a very close connection.

11 Next.

12 This is just a snapshot of the research projects
13 and some of these I will be talking about, others there
14 isn't really time to talk about here, but there is a lot
15 going on in the labs with the principal investigators in
16 our group.

17 We evaluate neutralizing antibodies in the
18 products including HCV immune globulin and investigational
19 product, Influenza immune globulin, and cytomegalovirus
20 immune globulin, in specific. We developed preclinical
21 models including for smallpox vaccine complications and
22 also for maternal-fetal passive immune therapy.

23 As you will see from Dr. Golding, he has worked
24 on immunogenicity studies in animal models, the mechanisms
25 of immune responses to Fc-fusion coagulation products.

1 We've been evaluating the hemolytic potential of different
2 IgE products.

3 Next.

4 We have a pretty large project characterizing
5 protein aggregates in products and their impact on potency,
6 safety, and immunogenicity. We've evaluated collection of
7 influenza immune plasma for passive immune therapy with an
8 influenza immune globulin in a pandemic setting. We worked
9 to elucidate the pathogenesis of pulmonary damage from
10 viral double-stranded RNA. This is Dr. Golding's project.

11 Dr. Reed has been evaluating Zika virus clearance
12 methods along with Dr. Jara Vostal in collaboration with
13 the Lab of Cellular Hematology, clearance methods for blood
14 and plasma donations. And we have been developing assays
15 to measure in vitro functions of anti-Ebola antibodies.

16 I want to thank you. This is from the garden at
17 White Oak and if you ever have a break when you're here for
18 a committee meeting, I urge you to go out there and enjoy
19 it. This concludes the first part of my presentation.
20 We'll go into detail into some of the research projects in
21 the next presentation. I guess we take questions at the
22 end; is that correct, Bryan?

23 LCDR EMERY: That is correct. Hold on while I get
24 your second part of this going.

25 DR. SCOTT: Dr. Marks, are you going to open these

1 four presentations to questions for the speakers from BPAC
2 members?

3 DR. MARKS: We certainly will, but I think there
4 was a -- did you want to hold them until after this --
5 perhaps if there are any general questions on what has been
6 so far -- while we try to get these slides up, if there is
7 one, maybe if someone wants to ask it, we can at least use
8 the time productively.

9 DR. LEITMAN: So I have a question. This is Susan
10 Leitman. Multiple speakers mentioned in their slides that
11 the research reviewer, research/reviewer, is expected to
12 spend 50 percent of their time on their regulatory and
13 administrative work, leaving 50 percent of time for their
14 research. But in the site visit, the comment was
15 repeatedly made that the time available for research, at
16 least for the PIs, vary from 10 percent to 25 percent. So
17 those are discrepant values.

18 DR. WILSON: So I used that -- I think that was my
19 presentation; this is Carolyn Wilson -- and I used that as
20 sort of a general average target and it does vary in
21 different parts of the center.

22 It may vary over time for an individual. So for
23 example, if a BLA comes in and you're chair, you may be
24 doing very little research during that time to meet
25 statutory deadlines. But there may also be other drivers

1 just overall in terms of the overall workload in a
2 particular segment of an organization that might drove
3 those averages to be different as well. So I leave it to
4 Dot, maybe, to discuss the particulars in LPD.

5 DR. LEITMAN: Okay, thank you.

6 DR. SCOTT: I guess I could address that by saying
7 in an ideal world, maybe it would be 50/50 all of the time,
8 but it does depend on the regulatory workload. A lot of
9 folks in our group work more than 40 hours a week and I
10 think they just make up that time so that they can get both
11 accomplished.

12 DR. MARKS: This is Peter Marks. One of the
13 things that is being undertaken as part of our recent
14 consulting engagement from outside consultants that were --
15 helped us with the center is we will be trying to capture
16 more completely the balance of work that is done from
17 research and review and essentially try to have fulltime
18 reporting regardless of the number of -- total number of
19 hours spent so we capture all the work that is being done.

20 LCDR EMERY: I believe we have the slides up.

21 DR. SCOTT: Okay, what we will do is we're going
22 to look at all four principal investigators' projects, at
23 least the main project that they're working on. I'm going
24 to start with Dr. Golding, but before we do that, I just
25 want to mention that as you've seen, we have a diversity of

1 products. We also have a diversity of projects.

2 Our research is really unified by the goal of
3 advancing the scientific understanding of our products and
4 using that knowledge to improve their potency, safety, and
5 efficacy, or to make contributions therefore.

6 So now I'm going to go on and present research
7 from the three outstanding PIs in my group. I will also
8 present mine. Some of them are here to answer your
9 questions, and their fellows are probably also here in the
10 great room. You may certainly ask questions at the end of
11 this talk.

12 The first project is Dr. Golding's where he
13 studied immune responses to human FIX and human factor IX
14 mouse Fc-fusion protein in a mouse model, and this is one
15 of the bottom lines. He found that the Fc moiety modulates
16 IgE titers. That is, it influences the formation of
17 allergy-inducing IgE antibodies and rather downregulates
18 that.

19 Next slide.

20 DR. MARKS: We are working on it. In the
21 meantime, are there any other general questions? I am just
22 trying to use our time most efficiently.

23 Oh, there we go.

24 DR. SCOTT: Perfect timing. The mission relevance
25 of Dr. Golding's -- of this particular project, by way of

1 background, is that there are new generation coagulation
2 factor replacement therapy products and those include Fc-
3 fusion proteins, including a recombinant FIX protein,
4 Alprolix, and a recombinant FVIII Fc protein.

5 The person of adding the Fc is to prolong that
6 half-life of these coagulation factors, which makes it much
7 easier for these patients to prevent bleeding but not have
8 to inject themselves as often. The coagulation FVIII and
9 IX have relatively short half-lives which are extended
10 moderately with the Fc-fusion proteins, and Dr. Golding
11 asked whether Fc-fusion, or the presence of the Fc-fusion
12 portion of the protein, altered the immunogenicity of these
13 products. He asked, what kind of immune responses did
14 these elicit and how do they compare in the case of FIX to
15 regular FIX without the Fc-fusion proteins?

16 Just by way of clinical experience, FIX infusions
17 induce inhibitors in about 3 percent of hemophilia B
18 patients and in rare cases can also induce severe
19 anaphylactic reactions that are IgE-mediated.

20 Next?

21 LCDR EMERY: We are working on it. I'm sorry, Dr.
22 Scott.

23 DR. SCOTT: That's okay. You know, if it turns
24 out to be problematic to switch slides, I am pretty sure
25 that everyone on the phone has a copy of the slides and we

1 will just proceed in that fashion if we need to. Would you
2 all like for me to go on and they can catch up? Okay,
3 well, as soon as we say it, it happens.

4 So what Dr. Golding did with this lab group is he
5 set up a model to study the immune responses to these
6 different forms of FIX in a mouse model of hemophilia B.
7 So this is a mouse that's deficient in FIX and these mice
8 receive five weekly IV infusions, either of the human FIX
9 without the Fc portion, or the combination molecule with
10 FIX with Fc portion. Over the time of those five
11 injections, he looked at IgG kinetics formations, as well
12 as inhibitory antibodies or blocking antibodies, IgE,
13 plasma cytokines, and T-cell responses.

14 He also later looked at long-term memory in terms
15 of anti-FIX IgG and memory B cells. I won't be showing you
16 all of that information. Here you see depicted sort of a
17 general schematic of what FIX looks at and what the FIX
18 attached to the murine Fc looks like. Now of course, for
19 the human product, there's a human Fc, but to make it more
20 relevant to the mouse model, he substituted the murine Fc
21 receptor which in this case is an IgG 2 AFC.

22 Next.

23 So here we are looking at neutralizing antibodies
24 to FIX at weeks four and five post-injection or after the
25 fourth and fifth injection of these two different FIXs. In

1 the black is treatment with the FIX with the Fc. I'm going
2 to call it FIX-Fc. The number of Bethesda units reflect
3 inhibitory antibodies to FIX. What you can see here is
4 that the regular FIX did not give as many, or as commonly,
5 a higher Bethesda unit titer at weeks four or five.

6 Well, I think we can -- we will just turn to the
7 next slide. So here we are looking at the slide entitled
8 human FIX infusions elicit higher plasma IgE titers and
9 that's indeed what Dr. Golding showed, and that is although
10 they had less neutralizing antibody titers, they had higher
11 total IgE levels compared with the FIX-Fc.

12 That you see -- actually, you don't see it, so
13 we're going to the next slide. This is the slide entitled
14 FIX-specific immediate hypersensitivity. Can we go to that
15 next slide? Very good.

16 DR. CHITLUR: Is that the slide -- I'm sorry, this
17 is Meera Chitlur. I am not seeing any of the slides. My
18 presentation is stuck at mission relevance.

19 DR. SCOTT: Do you have the slides from -- that
20 you were sent by the internet or are you waiting for those?

21 DR. LEITMAN: This didn't come through the
22 internet. This one was not sent. It would be good to send
23 that. Bryan, this is Susan Leitman. Could you send the
24 copy -- this copy of Dr. Scott's slides to all members of
25 the BPAC?

1 LCDR EMERY: I will. I'll send it currently.

2 DR. LEITMAN: Thank you.

3 DR. SCOTT: So I will continue because I realize
4 now that not everybody --

5 LCDR EMERY: So, Dr. Scott, this is the second
6 set that we decided not to use. I will send it to the
7 committee right now and we will take a five-minute break
8 and I'll send the second set of slides to everyone. Then
9 they can have it as well. Sorry for the delay.

10 DR. SCOTT: All right, that should be the slide
11 set with all the initials in it, right?

12 LCDR EMERY: Correct.

13 DR. SCOTT: Okay.

14 (Brief recess.)

15 DR. SCOTT: Let's go on to the next slide. To
16 really get the amount or to understand whether or not these
17 are specific anti-FIX IgE antibodies, he did a passive
18 cutaneous anaphylaxis test in mice where Evan's blue dye is
19 injected into the tail, and then the potential allergen is
20 injected into the ear.

21 He injected, of these various mice, either the
22 FIX or FIX-Fc, and in this case he shows a FIX allergy test
23 where FIX was injected into one ear and FIX plus
24 antihistamine into the other. He harvested the tissue and
25 did an Evan's blue dye extraction, but basically what

1 happens is if there's an allergic reaction, histamine is
2 released. This increases capillary permeability and the
3 dye extravasates from the blood system into the ear, and
4 you measure that dye.

5 What he showed was that the mice which had
6 received the FIX had more specific anti-IgE antibodies
7 against FIX.

8 So on to the next slide. His hypothesis is that
9 the distinct anti-FIX immune responses may be due to
10 underlying T cell skewing from between the T-helper type 1
11 and T-helper type 2 cells. T-helper type 2 cells,
12 abbreviated TH2, release IL4 when they're antigen
13 specifically stimulated, and this causes the production of
14 IgE among other things, whereas T-helper type 1 cells are
15 more characterized by the production of interferon gamma
16 and they may be stimulated by Fc-receptor binding and
17 secretion of antigen presenting cells.

18 So that is the hypothesis. Next slide?

19 When he looked T-cell responses in these mice,
20 what Dr. Golding found and in this case it's for mice
21 treated either with the FIX or the FIX-Fc, the FIX-Fc is in
22 the dark bars, that the FIX-Fc treated mice had more
23 specific T-cell responses against FIX characterized by
24 production of interferon gamma, less interleukin 4 and less
25 interleukin 10. So this is a T-helper cell type 1

1 response, whereas the FIX alone seemed to have induced a T-
2 helper type 2 response based on the fact that IgE was
3 produced.

4 Next slide?

5 And I have just already discussed this mechanism,
6 so I won't go over it here. Next slide?

7 So in terms of future directions, and I realize
8 the title isn't there. Future plans. His group plans to
9 study the effect of Fc-fusion molecules on Fc gamma
10 receptor human primary cells in human cell lines. He can
11 look at activation of antigen presenting cells by the
12 cytokine responses and antigen presentation characteristics
13 of these cells.

14 He also plans to study the effect of the FIX-Fc
15 on possible downregulation of high IgE responses and this
16 is important to understand whether or not this response
17 actually directly suppresses the IgE-mediated
18 hypersensitivity reaction that's seen with FIX.

19 Next?

20 He also plans to study whether molecular
21 engineering of the Fc can be done that may reduce
22 immunogenicity and enhance inhibition of immune responses.
23 Then at the same time improve binding to the Fc neonatal
24 receptor, which would further increase half-life. He is
25 also planning to study the effect of Fc-fusion molecules on

1 humanized mice.

2 And now we will switch completely to the next
3 project, by Pei Zhang. Is anybody else having very odd
4 lettering coming up on some of their slides? I am missing
5 some words and letters on my slides. I just wanted to make
6 sure that everybody else's on the line is okay with their
7 slides. I'm through the WebEx.

8 DR. MARKS: What I see is good.

9 DR. SCOTT: Okay, I have a hard copy. So I'm
10 fine too.

11 Now I'm going to introduce you to the laboratory
12 of Pei Zhang, who is a principal investigator and the
13 fellow who has done a fair amount of this work, Lu Deng.
14 There are others in the lab also who have contributed to
15 the project, and this is a study to improve antibody-
16 mediated neutralization by HCV-specific immune globulins.

17 Next slide? You'll have to pardon me, while I
18 reorganize my slides, because they are not coming through
19 at all well.

20 Okay, so in terms of mission relevance, HCV
21 infection is a major public health issue worldwide, and it
22 also presents a safety concern for blood and blood
23 products, at least historically, but we have to continue to
24 be vigilant.

25 Dr. Zhang's research program is intended to

1 facilitate the development of effective HCV-specific immune
2 globulin products, for example, the development of potency
3 assays, to help ensure the safety, effectiveness, and
4 availability of HCV immune globulin products and to
5 contribute towards the efforts that are being made to
6 develop an HCV vaccine.

7 Next slide.

8 And I'm going to summarize his major
9 accomplishments. The first among these is the
10 identification and characterization of HCV envelope
11 glycoprotein E2 epitope, and you see depicted here HCV, the
12 HCV E2 protein. The epitopes that are involved in
13 antibody-mediated neutralization and non-neutralization of
14 the virus. So there are some antibodies that bind but
15 don't neutralize, and even interfere with neutralization by
16 other antibodies, and that is depicted in the section as
17 well.

18 They also demonstrated structural flexibility and
19 dynamics in HCV E2 epitopes that form the basis of
20 neutralization and non-neutralization of the virus. So
21 based on studies of the antibodies in HCV patient blood
22 samples and HCV-specific immunoglobulins, they identified
23 and characterized three important epitopes on the envelope
24 protein E2, epitope I, epitope II, and epitope III, and the
25 studies on epitope II specifically suggested that antibody

1 can use bifurcated mode of action to interact with the
2 epitope with a specific tertiary structure, and these
3 different tertiary structures of epitope II are presented
4 on the viral surface, and those might determine the
5 antibody specificity and consequently the outcome of
6 neutralization versus non-neutralization, and this becomes
7 important, because you don't want a lot of non-neutralized
8 in your interfering antibodies in an HCV immune globulin.

9 Their study suggested a mechanism for antibody
10 interference, and we will go on from that to the next
11 slide.

12 They also identified two conformational states of
13 the HCV epitope II called an open and closed state, which
14 you can see here. HCV E2 does exist in these two
15 conformational states based on biochemical and x-ray
16 crystallographic structural studies done at Dr. Zhang's
17 lab.

18 So for virus, for the virus, for the advantage of
19 the virus, E2 can present itself in different forms during
20 the infection, which can be transient and allow it to
21 escape host immune surveillance which provides a virus with
22 a growth advantage, but for the host, the transient forms
23 may not be able to stimulate robust immune responses to
24 control the virus. So these are very important things to
25 understand about hepatitis C virus and how it evades the

1 immune response and also how difficult it might be to make
2 an HCV immune globulin that only neutralizes the virus.

3 Next slide, please.

4 Dr. Zhang's group has also established a working
5 model for the interface formed between the HCV E2 epitope
6 and the host receptor CD81. They combine crystallographic
7 and molecular docking techniques to establish this model,
8 and it indicates that the flexibility of epitopes on the E2
9 protein might have a great impact on the virus receptor
10 interaction, thus serving as a vulnerable site for
11 development of antibodies and vaccines.

12 So again, this is work in progress, but they are
13 currently understanding which exact conformational epitopes
14 might contribute to this binding and how they are expressed
15 and when they are expressed.

16 So next slide.

17 In conclusion, the epitopes on HCV E2 have
18 different local conformations and different specificities
19 for neutralizing and non-neutralizing antibodies. E2
20 exists in at least two conformational states, the open and
21 closed conformations. The existence of natural variance in
22 the epitopes, such as the A524V in epitope III, can
23 modulate antibody binding without affecting the virus entry
24 process, is consistent with the escape mechanism of HCV
25 from antibody-mediated neutralization, which is common.

1 And this structural information may be useful for
2 development of tests to monitor the potency of HCV-specific
3 immune globulins.

4 Next slide.

5 In future studies, structural flexibility and
6 dynamics of the E2 protein are noted to not only affect the
7 optimal presentation of antigenic sites of interest but
8 also provide a potential mechanism of immune evasion. So
9 specifically, he plans to combine crystallographic methods
10 with H/D exchange mass spec to capture and analyze
11 conformational changes in E2 and, using HCV cell culture,
12 determine whether conformational changes in E2 are actually
13 correlated with host receptor interactions and whether
14 antibodies targeting specific conformations can effectively
15 neutralize the virus.

16 So we are going to go on to Dr. Reed's project,
17 one of the very new projects, and one she has been working
18 for some time. I'll talk about the older project first,
19 which is related to increasing U.S. preparedness for
20 potential expansion of smallpox vaccination. Just by way
21 of background, smallpox vaccination can cause some side
22 effects, particularly in people with immune deficiencies or
23 people with atopic dermatitis, and in both cases, those
24 consequences can be life-threatening.

25 The only licensed treatment for either

1 progressive vaccinia or eczema vaccinatum is vaccinia
2 immune globulin. Clinical studies are generally lacking,
3 because these are very rare diseases currently, but there
4 are questions when people have gotten eczema vaccinatum how
5 much vaccinia immune globulin to give them, what else one
6 might give, and how to really interdict that process.

7 So the first project within this category called
8 project one is to -- the relevance is to improve
9 preparedness for the emergence of eczema vaccinatum and to
10 use the data generated to support rational development of
11 vaccinia immune globulin treatment with and without co-
12 therapeutics. The second project is to create a platform
13 for testing novel therapies that target either host or
14 viral pathways with special relevance in the skin.

15 Next slide.

16 I have already mentioned that human eczema
17 vaccinatum can be life-threatening, and the main point here
18 is that widely available animal models for eczema
19 vaccinatum are needed and are currently not available.
20 These could be used to measure responses to vaccinia immune
21 globulin treatment and to identify co-therapies.

22 So that is the need, and for the second project,
23 to provide a detailed analysis of innate antiviral
24 responses of keratinocytes, which are the first to see
25 vaccinia virus or smallpox virus for that matter, and to

1 identify keratinocyte host pathways that are targeted by
2 vaccinia and the viral factors responsible for disease. In
3 other words, how is the keratinocyte affected and can this
4 information be used to develop new therapies to prevent the
5 spread of this virus in susceptible people?

6 Next slide, please.

7 Major accomplishments. Dr. Reed did develop an
8 atopic dermatitis eczema vaccinatum model by initiating
9 development of mice that are deficient in STAT3 and
10 filaggrin, and both of these are deficiencies that are seen
11 in some forms of atopic dermatitis in patients who get skin
12 infections commonly.

13 What you see here with the picture of the mice is
14 a mouse that did not receive smallpox vaccination. That is
15 on the left, and on the right one that did, and if you look
16 closely, you can see especially on the right ear that there
17 is a skin lesion but also on the face and other parts of
18 the body. So this mouse actually did develop a vaccinia
19 infection of the skin. This is the first time really that
20 such a model has been developed that is accessible that can
21 be used by others.

22 She also discovered when she looked at these
23 lesions that the TGF beta family ligand Activin A was
24 higher in infected skin compared with what we saw in
25 previous vaccinia models, and she used topical TGF beta

1 receptor inhibitors and found that those synergized with
2 vaccinia immune globulin to lower the viral titers in the
3 skin of vaccinia. So that is currently being pursued.

4 Next slide.

5 She and her group also demonstrated that STAT3
6 and filaggrin themselves facilitate programmed necrosis of
7 vaccinia-infected cells in vitro, and this is a host-
8 protective strategy that actually the first cells to get
9 infected die quickly and release danger signals and they
10 don't really perpetuate virus much themselves by dying
11 early and sending off these signals.

12 She identified pathways that are triggered by
13 vaccinia in these infected keratinocytes that are involved
14 in the process of early cell death called necroptosis, and
15 this is just a picture of uninfected keratinocytes in the
16 top row and vaccinia infected keratinocytes to show one
17 among several of these mediators, DAI, that are involved in
18 necroptosis and are stimulated in the presence of vaccinia
19 infection.

20 Next slide.

21 So her plans are to evaluate combined therapies
22 in the mouse model, including VIGIV, antiviral treatments,
23 and TGF beta receptor inhibitors. Those are given
24 topically in the mouse model. And to test whether
25 excessive production of wound healing factors in skin

1 remodeling actually promotes a viral niche in skin. In the
2 second project she plans to use high throughput screening
3 with siRNA to identify additional host factors that can
4 control or limit viral growth in keratinocytes.

5 Next slide.

6 Now we are going on to a relatively new project
7 obviously that Dr. Reed has initiated along with Jara
8 Vostal in the Lab of Cellular Hematology who I already
9 mentioned. This is an ongoing project. They are very
10 early results, but I think it is good because it
11 demonstrates that we are capable of rapidly addressing new
12 public health concerns using our expertise in products and
13 that this has special value. So this project is Zika virus
14 inactivation in whole blood via UV irradiation and
15 photosensitizers.

16 Next slide, please.

17 The data she obtains may help identify means to
18 increase the safety of blood transfusions in the near term
19 for high risk patients, such as pregnant patients in Zika-
20 endemic areas, should they need transfusion, and the data
21 may also demonstrate ways to optimize existing pathogen
22 reduction methods for whole blood applications.

23 Next slide.

24 So what she set out to do was to test licensed
25 pathogen reduction methods for Zika inactivation potential

1 in red cells and whole blood preparations. So she tested
2 two commercial methods using licensed conditions, UV-A and
3 psoralen derivatives, and UV-B and vitamin B, and the idea
4 was to identify whether optimization might increase virus
5 inactivation.

6 I am not going to show you this, but part of this
7 project is to determine the impact of the inactivating
8 methods on red blood cell integrity and oxygen carrying
9 capacity and to later perform a proof of concept
10 transfusion transmission rodent model to find out how
11 effective these inactivation methods are in an in vivo
12 experiment.

13 Next slide?

14 So these are early results, showing that UV-B
15 with or without vitamin B inactivates Zika virus in whole
16 blood with acceptably low hemolysis levels, but it is a far
17 reduced efficacy compared with Zika virus inactivation in
18 plasma. But the early data suggests that optimization of
19 pathogen reduction might be feasible in the system and
20 might increase Zika virus inactivation.

21 So what you are seeing is the treatments on the
22 left-hand column, the amount of logs of virus reduction in
23 the middle column, and basically UV and with or without
24 vitamin B can cause about five logs of reduction in whole
25 blood, but you can see that this is even better for plasma.

1 Plasma is clear and it's easier for the UV-B to have an
2 effect.

3 Next slide.

4 Her future directions or current directions
5 anyway are to further optimize the licensed pathogen
6 reduction methods by evaluating different blood storage
7 materials that have a better UV transmission profile and
8 increase surface area. They also plan to increase the
9 dose, that is, the amount of time, of UV irradiation
10 exposure with chill-down breaks, and of course test the
11 impact of this on red cell integrity as well as on Zika
12 virus reduction.

13 She also plans to evaluate Zika virus
14 transmission from transfused pathogen reduction treated
15 versus untreated blood in interferon gamma susceptible mice
16 that can acquire Zika virus infection.

17 Next slide.

18 So I think we are all very pleased with how
19 quickly this Zika virus project and others in the Office of
20 Blood and the Office of Vaccines have gotten off the
21 ground, and you may look forward to hearing a lot more
22 about this in the future.

23 Finally, and thank you very much for your
24 patience, the last center project, which is in my lab. I
25 am going to be talking about two projects. The first one

1 is related to hemolysis, which is a long-recognized adverse
2 event associated with immune globulin infusions,
3 particularly at high doses. Despite the fact that there is
4 a lot release limit for isoagglutinins in the product. In
5 the past few years, it was noted that certain products have
6 higher reporting rates for hemolytic complications than
7 others, although they all meet the lot release
8 specifications using the direct hemagglutination assay, and
9 this, which we abbreviate DHAT method, is a binding assay
10 but not a functional assay.

11 So we wondered whether a functional assay would
12 give us somewhat different results or differentiate between
13 products that had a higher reporting rate of hemolysis
14 versus those that had a lower reporting rate. So what we
15 did and what I'm going to show you is that we developed a
16 complement-mediated functional assay, which we call the
17 CDHA, for hemolysis and immune globulin. We are also
18 investigating the mechanisms of action for intra- and
19 extravascular hemolysis related to immune globulins, and we
20 have developed new reference standards for the DHAT and for
21 CDHA methods in collaboration with NIBSC.

22 This work addresses the goal of ensuring the
23 safety of biological products and developing and evaluating
24 reference materials and standards and assays for product
25 assessment.

1 Next slide.

2 The major aims were to develop a complement-
3 dependent hemolysis assay and to identify IGIV
4 characteristics that are associated with a propensity to
5 mediate intravascular hemolysis, particularly
6 characterization of the isoagglutinins in IGIV with respect
7 to antibody subclass, and to collaborate with national and
8 international regulatory authorities, both in a regulatory
9 and a research fashion and to develop these reference
10 standards.

11 Next slide.

12 It's a wordy slide, but I think it can go pretty
13 quickly, because we were able to establish a practical and
14 reproducible complement dependent hemolysis assay protocol
15 for our products, which expands our ability to evaluate
16 IGIV lots in suspect hemolysis cases and also to
17 characterize the products, and what we did was rather old-
18 fashioned, but we made some changes to increase assay
19 sensitivity.

20 We studied the effect of papain treatment,
21 removal of irrelevant IgG molecules, and use of neat serum.
22 We defined and optimized pH conditions for the assay. We
23 evaluated interference by excipients, and we -- I think
24 this is one of the things that will help others take up
25 these assays is we improved assay-to-assay and intra-

1 laboratory consistency by optimizing collection and
2 freezing methods for red cells, and these are little red
3 cells you see in the tube, droplets of red cells, and
4 developing a unit scale collection method to obtain large
5 batches of human serum with intact complement, which has
6 always been a sticking point for these kinds of assays and
7 the reproducibility.

8 We did demonstrate a correlation between the CDHA
9 and DHAT methods, but the reproducibility is better for the
10 CDHA than for the DHAT and the sensitivity seems somewhat
11 better as well. We characterized hemolysin-mediated IgG
12 subclass specificity in recombinant monoclonal anti-A
13 antibodies, where we found that IgG 3 was the most active,
14 and in products where we actually found that IgG 2 seemed
15 to have the most activity, followed by IgG 1, which is
16 interesting and we are pursuing it.

17 Next slide, please.

18 So some of the accomplishments are our
19 participation in establishment of a WHO International
20 Standard for anti-A and anti-B in serum, generation, in
21 collaboration with NIBSC, of a stock preparation of
22 reference reagents as a positive control for anti-A and
23 anti-B that can be used for CDHA and DHAT characterization
24 products.

25 Specifically, it's very hard to find immune

1 globulin products with very high anti-A and anti-B titers,
2 or lots, I should say, a lot, and we were able to find one
3 and this has quite high titers and it's going to be very
4 useful for developing and understanding assays in providing
5 a high titer standard.

6 We also, as a part of all of this, co-organized a
7 public workshop with NHLBI and the Plasma Protein
8 Therapeutics Association to discuss strategies to address
9 hemolytic complications of immune globulin infusions, and
10 these include not just testing strategies but also
11 manufacturing strategies and a better understanding of how
12 these isoagglutinins seem to co-purify with other immune
13 globulins more in some products than in others.

14 Next slide.

15 So our future aims are to test implicated product
16 lots identified through adverse event reporting and
17 characterize the potential hemolysis risk also of
18 investigational IGIV products and those under evaluation
19 for licensure. So in other words, we can do this research
20 testing in our own laboratories and share those results
21 with manufacturers as we and they consider the
22 manufacturing method.

23 We will go on to confirm and investigate the role
24 of IgG subclasses in IGIV-mediated hemolysis. We plan to
25 develop an anti-A, B assay to measure these dual-specific

1 antibodies that occur especially in blood type O donors in
2 implicated and non-implicated lots. They are hypothesized
3 to have more potent hemolytic abilities than a typical
4 anti-A or anti-B antibody.

5 We are planning to develop a cell-based hemolysis
6 to model extravascular hemolysis using activated and
7 quiescent macrophages in antibody-sensitized RBCs as
8 targets in the presence of complement to address the
9 hypothesis that inflammation is an underlying
10 predisposition to development of hemolysis in people who
11 receive high doses of immune globulin.

12 We hope soon to publish and share the established
13 CDHA protocol with manufacturers and international
14 regulatory agencies in NIBSC, and we want to explore the
15 possibility of extending the CDHA methodology to other
16 CBER-regulated blood products.

17 Next slide.

18 Finally, I'm going to talk very quickly about the
19 efficiency of plasma collection for manufacturing of
20 influenza immune globulin during a pandemic, and this is a
21 completely different type of project obviously, which is
22 focused not on safety, but rather on potential efficacy of
23 influenza immune globulin and how this might be
24 manufactured in the study on the pandemic.

25 Next slide.

1 So as you all know, influenza is considered a
2 pretty big public health problem even in normal years, and
3 it causes a number of deaths every year in the United
4 States. Neuraminidase inhibitors, which are the mainstay
5 drug treatment, may fail due to drug resistance or may be
6 in short supply during a pandemic. Likewise, vaccine
7 supplies have been in short supply earlier on in pandemics
8 due to the manufacturing timeline required and
9 manufacturing capacity.

10 Now, on the other hand, passive immunotherapy
11 with immune globulin products is effective for prevention
12 and treatment of many viruses. We don't know about
13 influenza, but from animal studies we have an idea and from
14 limited human studies that IGIV enriched for influenza
15 antibodies might prevent or ameliorate influenza.

16 But the question that was asked is how can
17 collection of influenza immune plasma be optimized, because
18 you need this immune plasma to make an immune globulin
19 during a pandemic, and we were fortunate to be able to
20 analyze samples from a collection program that was intended
21 to collect hyper-immune influenza plasma for manufacture of
22 an influenza immune globulin, and we were also able to
23 evaluate a new test method that has potential for plasma
24 screening.

25 Next slide, please.

1 So in 2009, Baxter Corporation and Baxter BioLife
2 initiated a study to ask if they could manufacture a
3 FLUIGIV during a pandemic, and in this case, they put up
4 posters and sent out postcards to their regular donors
5 asking them if they would like to volunteer, whether or not
6 they had been infected with influenza, pandemic influenza,
7 or been vaccinated for it, prior to their next donation.
8 These histories were not verified and the donations were
9 not tested, to save cost and to save time.

10 The plasma collected from these donors who
11 volunteered such histories was segregated and manufactured
12 into two IGIV lots, which were shown to have high pandemic
13 H1N1 antibody levels compared with contemporaneously
14 manufactured lots that didn't have this special plasma, and
15 the FLUIGIV was shown to be effective with a pre-exposure
16 prophylaxis in SCID mice. It took, however, 5 to 8 months
17 from collection of the plasma to release of the final
18 product, which was not used, by the way, in clinical
19 studies. The idea was really to see what they could
20 collect and to study it in animals.

21 So our future aims were to just use the
22 hemagglutination inhibition test to determine how well this
23 collection strategy identified donors with high titer
24 antibodies in the absence of testing or detailed
25 questioning and also to develop a rapid virus free method

1 to test plasma donations for influenza neutralizing
2 antibody using Surface Plasmon resonance. I won't have
3 time to show you that second part today.

4 So next slide. Actually we are on slide 40. I
5 have shown the major aims. So we will go on to major
6 findings. What we were able to show is that in the
7 pandemic setting, the plasma selection really could have
8 been improved if only we had known what the titers were,
9 because high titer donations were prevalent in the self-
10 reported vaccination group to a greater extent than the
11 convalescent or random donor group. So there is actually a
12 large number of donors with lower titers.

13 But what we would want to make a hyper-immune
14 globulin that is as potent as it can get is probably the
15 really high titer donors. On the other hand, low titer
16 donations were most prevalent in the random donor group,
17 followed by the convalescent and vaccinated donors.

18 So of course with that collection program as it
19 was, it naturally was often donors with a self-identified
20 history of influenza or influenza vaccine exposure that
21 actually probably didn't have influenza and may not have
22 even been vaccinated or the vaccination wasn't very
23 effective in those donors.

24 In the low titer groups, of course, there are
25 plenty of those in the convalescent and vaccinated donor

1 subsets. So this just shows you that it could be improved,
2 and that's not surprising, because the plasma couldn't be
3 tested at that time, and what we have done is developed a
4 Surface Plasmon resonance assay that shows -- uses the
5 concept of showing binding inhibition with serum antibodies
6 of the H1 hemagglutinin to cognate glycan receptor by this
7 immune sera, and that does correlate with hemagglutination
8 inhibition assays.

9 So the results suggest strategic improvements
10 that could increase collection of the influenza immune
11 plasma during a pandemic, not only testing but perhaps by
12 having more detailed questioning and establishment of in-
13 house assays for testing potency of FLUIGIV plasma in
14 products.

15 And finally, in future directions, next slide.
16 We plan murine studies to determine the efficacy of pre-
17 and post-exposure prophylaxis by FLUIGIV. We will use
18 variations in dose and timing, and we are also planning to
19 look at the in vivo effect of anti-H9, anti-H5, and anti-H2
20 antibodies that are found in many IGIV products. So look
21 at whether or not they are effective against virus
22 challenge with reassortment viruses, and publication of the
23 H1 glycan binding inhibition studies on human sera.

24 Next slide.

25 I really apologize to the committee and thank

1 you for your patience and interest and time. I know we
2 have gone over time. I also want to thank the office, the
3 center, and the FDA for their financial support and broad
4 support of these kinds of studies and all of the work that
5 you have seen and more, and I believe that what they have
6 been able to do is help us contribute to the science that
7 is really the bedrock of our regulatory activities.

8 I thank you all very much, and I guess we are
9 ready for questions.

10 **Agenda Item: Questions for the speakers**

11 DR. SIMON: I have a question. This is Toby
12 Simon. Is it okay to go ahead? You sort of really got my
13 interest there, as you might guess, on the last on the
14 influenza immune globulin, but I wondered -- I think the
15 research is certainly good and appropriate -- had you any
16 though how you would deal with that 5- to 8-month delay
17 between collection and immune globulin in the event of a
18 real pandemic?

19 DR. SCOTT: Well, yes, there are certain places
20 where there may be some flexibility. So even though this
21 was intended to be pretty fast, obviously, by moving around
22 the logistics of manufacturing you could make it faster;
23 the question is also how much time would be used just
24 waiting for the lot release test to be completed and where
25 there may be flexibility there. I would say the collection

1 program went very rapidly, because many, many people wanted
2 to make these donations and that could actually probably be
3 even quicker if more centers were involved in such a
4 project.

5 So those are the two places I see, because
6 actually from -- you know better than I from collecting the
7 plasma, the actual manufacture is only going to take a few
8 days. It's everything that needs to go before and the
9 testing that needs to come after that takes such a long
10 time.

11 My own opinion is this could probably be done in
12 3 months, and it ideally would be done between the first
13 and second wave of a pandemic. Sometimes there is a third
14 wave.

15 DR. RAGNI: This is Margaret Ragni. I wonder if
16 I might ask a question. First of all, I thought that your
17 presentation was outstanding. I thought it was just
18 excellent. I was interested in the Fc FIX and your
19 immunogenicity studies and wondered if in hemophilia it is
20 a group with hemophilia A that are more likely to have
21 inhibitors whether you were going to do studies in a
22 similar fashion with FVIII Fc. Not IgE of course. I am
23 just talking specifically IgE. It's the IgE is not heard
24 of the inhibitor formation in hemophilia A.

25 DR. GOLDING: So this is Dr. Golding. So yes,

1 that's a very important question, and we are starting to
2 look at that. So yes, definitely. We want to look at the
3 Fc FVIII as well in terms of immunogenicity. So we will
4 follow the same kind of protocol that you saw for the Fc
5 FIX, first looking in mice to see what we can identify in
6 terms of types of antibodies, and then look to see at the
7 underlying mechanism first in mice and then once we have
8 that information we can switch to humans and look in vitro
9 at human cells and the effect of Fc FVIII on human cells.

10 DR. RAGNI: That is very important. Whenever Fc
11 is bound to any protein, my understanding is that it
12 induces the Tregs which make the Fc -- makes the protein,
13 makes the immune system tolerant to the protein to which it
14 is attached, and there are some mice data through Biogen
15 and their preliminary studies and we have been doing some
16 studies in humans and it's very exciting and I think it is
17 something that has great potential in terms of the patients
18 with hemophilia using these products.

19 DR. GOLDING: Yes, I agree, and I'm familiar with
20 that work and we are actually collaborating with Dr.
21 Strouse at Johns Hopkins looking at Fc, binding to Fc gamma
22 receptors, and looking to see if we can optimize the Fc
23 binding in a way that would induce T regulatory cells that
24 would actually induce tolerance. Yes, we are thinking
25 along very similar lines.

1 LCDR EMERY: I was going to say Dr. Epstein at
2 the Office of Blood Research Review has a statement to
3 make.

4 DR. EPSTEIN: This is back to the prior question
5 about rapid response. We actually have a collaboration
6 ongoing with World Health Organization. This is in the
7 wake of the Ebola outbreak on an initiative that they call
8 platform technologies, and one of the technologies that has
9 been under discussion is a system for small-scale
10 production of up to 20 units of plasma to make an immune
11 globulin concentrate. So if you were to combine the
12 ability to rapidly screen for the antibody of interest with
13 the ability to make small-scale concentrates, you might be
14 able to react extremely quickly to this kind of epidemic.

15 I agree with largescale fractionation. Probably
16 you are limited between wave one and wave two.

17 DR. LEITMAN: This is Susan Leitman. I have a
18 follow-up question to what Dr. Ragni asked about
19 immunogenicity of the Fc VII and IX fusion proteins. I
20 imagine that FDA requested clinical immunogenicity data
21 from the manufacturers when they first submitted their
22 license application. So they had -- there were paired
23 studies of subjects receiving conventional recombinant
24 factor and Fc fusion. I can't recall from the publications
25 what the immunogenicity data showed, but there was the

1 clinical data on inhibitor formation. Is that correct?

2 DR. GOLDING: Yes, definitely, for both products
3 we had the standard clinical trial and as you probably
4 know, what we look at are patients, previously treated
5 patients, PTPs rather than PUPs, and we, based on the
6 incidence or the rate of inhibitor development in previous
7 studies, we determine statistically whether the product is
8 approved or not. But these are relatively small studies,
9 because these are relatively rare diseases. So you are
10 talking about 80 patients in the FVIII study, and I don't
11 remember for sure, but I think it was somewhere around 50
12 patients in the Fc FIX study.

13 No inhibitors were observed in either of those
14 studies, but I would point out that you probably have to do
15 much larger studies to find a low rate of inhibitors that
16 may be different between the Fc and the regular FIX, and we
17 will look for -- we are looking at the moment in animals
18 and in vitro, but hopefully larger clinical studies will be
19 done, especially in PUPs, and we are understand that the
20 PUP population, previously untreated population, is much
21 more sensitive to the development of inhibitors, but those
22 are always done as -- they started during the licensing
23 process, but they all followed up after licensing. So we
24 are looking eagerly to see what kind of follow-up studies
25 show in terms of immunogenicity.

1 DR. EPSTEIN: Susan, it is Jay Epstein. But one
2 fine point. These are not paired controls. They are in
3 essence single arm studies looking for inhibitor rate, and
4 we have a statistical criterion for acceptance or
5 rejection.

6 DR. RAGNI: But no inhibitors were expected in
7 any of those PTPs. So it doesn't answer the question. We
8 really need PUPs to do the study. There are several
9 ongoing prospective studies to do that.

10 DR. GOLDING: That is correct, and we are eagerly
11 looking for the date.

12 DR. RAGNI: That is part of post-licensing
13 surveillance is to request that data in much larger numbers
14 of patients.

15 DR. SCOTT: It's to request it in PUPs,
16 previously untreated patients.

17 DR. LEITMAN: Susan Leitman again. Data were
18 shown on Zika virus inactivation in red cells using
19 pathogen reduction techniques of either UV-A plus psoralen
20 or UV-B plus vitamin B, but there's no licensed pathogen
21 reduction for red cells. The only licensed techniques are
22 for plasma and platelets, correct?

23 DR. REED: This is Jennifer Reed replying to your
24 comment. Hi. Good morning. Yes, that's right. We don't
25 have a licensed technique for inactivation in red cell

1 preparation. So we would be using techniques which have
2 been shown to work in plasma and adapting them as best we
3 can to red cells just to see if we can find a way to maybe
4 utilize them in a rapid response kind of methodology.

5 So far, as you can see, the UV does seem to be
6 working, and the addition of vitamin B increases the Zika
7 reduction, but we need to utilize blood bag that material
8 which increases UV transmission, and also we are working
9 out the optimal temperature and the size of the bag in
10 order to make sure that the UV is appropriately reaching
11 the target. Does that answer your question?

12 DR. LEITMAN: Yes, it does.

13 DR. BASAVARAJU: This is Sridhar from CDC.
14 Another question about the Zika presentation regarding the
15 strain that you used. Did you use other strains, or was it
16 just the Cambodia strain?

17 DR. REED: The first strain that we had access to
18 was the Cambodia strain which was rapidly sent to us by
19 UTMB. We had since come up with additional strains. We
20 have a Panama strain that we are growing and we have one
21 strain from UCFA(?) that we are also growing.

22 The limiting factor there is just getting a high
23 enough titer stock with. So we are almost there with both
24 of those. We are not anticipating a huge difference
25 between the inactivation profile of Cambodia versus those

1 more recent strains, but we should have that data set
2 shortly.

3 LCDR EMERY: Are there any questions? All right.

4 **Agenda Item: Open Public Hearing**

5 LCDR EMERY: At this time I will take a moment to
6 look around the room to see if there are any members of the
7 public that would like to speak in open public hearing.

8 I see nobody in the room at this time. So we are
9 going to close the open public session at this time, and we
10 will take a break before going into closed session. In the
11 meantime, Dr. Toby Simon from industry will be leaving, and
12 Dr. Dorothy Scott will also be getting off the phone and
13 off the computer so that we will go into closed session,
14 and we will be waiting now for Dr. Bonilla to come on line
15 so we can go into closed session.

16 Thank you.

17 (Whereupon, the open session adjourned.)