

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ARTHRITIS ADVISORY COMMITTEE (AAC) MEETING

Wednesday, July 13, 2016

7:32 a.m. to 3:09 p.m.

FDA White Oak Campus
10903 New Hampshire Avenue
Building 31 Conference Center
The Great Room (Room 1503)
Silver Spring, Maryland

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ARTHRITIS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Mara L. Becker, MD, MSCE**

10 Associate Professor of Pediatrics

11 University of Missouri-Kansas City

12 Director, Division of Pediatric Rheumatology

13 Children's Mercy Kansas City

14 Kansas City, Missouri

15

16

17

18

19

20

21

22

1 **Jennifer Horonjeff, PhD**

2 *(Consumer Representative)*

3 Research Fellow & Patient Advocate

4 Center for Immune Disease with Onset in Childhood

5 Division of Rheumatology

6 Department of Medicine Columbia University Medical

7 Center

8 New York, New York

9
10 **Beth L. Jonas, MD**

11 Director, Rheumatology Fellowship Training

12 Program

13 University of North Carolina School of Medicine

14 Chapel Hill, North Carolina

15
16 **Donald R. Miller, PharmD, FASHP**

17 Professor of Pharmacy Practice

18 School of Pharmacy

19 North Dakota State University

20 College of Health Professions

21 Fargo, North Dakota

22

1 **Andreas M. Reimold, MD**

2 Chief of Rheumatology

3 Dallas VA Medical Center

4 Rheumatology Section, Medical Service

5 Dallas, Texas

6

7 **TEMPORARY MEMBERS (Voting)**

8 **Diane Aronson, BS in Ed.**

9 *(Patient Representative)*

10 Naples, Florida

11

12 **Wilma F. Bergfeld, MD, FAAD**

13 Professor of Dermatology and Pathology

14 Senior Dermatologist & Emeritus Director

15 Dermatopathology

16 Director, Dermatopathology Fellowship

17 Departments of Dermatology and Pathology

18 Cleveland Clinic

19 Cleveland, Ohio

20

21

22

1 **Warren B. Bilker, PhD**

2 Professor of Biostatistics

3 Department of Biostatistics and Epidemiology

4 Perelman School of Medicine

5 University of Pennsylvania

6 Philadelphia, Pennsylvania

7

8 **Erica Brittain, PhD**

9 Deputy Branch Chief and Mathematical Statistician

10 Biostatistics Research Branch

11 National Institute of Allergy and Infectious

12 Diseases (NIAID)

13 National Institutes of Health (NIH)

14 Bethesda, Maryland

15

16 **William Hancock, PhD, DSc**

17 Professor

18 Department of Chemistry and Chemical Biology and

19 Barnett Institute

20 Bradstreet Chair of Bioanalytical Chemistry

21 Northeastern University

22 Boston, Massachusetts

1 **Donald E. Mager, PharmD, PhD**

2 Professor of Pharmaceutical Sciences

3 University at Buffalo, SUNY

4 Buffalo, New York

5
6 **David J. Margolis, MD, PhD**

7 Professor of Dermatology

8 Professor of Epidemiology

9 University of Pennsylvania School of Medicine

10 Philadelphia, Pennsylvania

11
12 **Alyce M. Oliver, PhD, MD**

13 Professor of Medicine

14 Director, Rheumatology Fellowship Training Program

15 Ambulatory Medical Director of Medicine

16 Medical College of Georgia

17 Augusta, Georgia

18
19 **June K. Robinson, MD**

20 Research Professor of Dermatology

21 Northwestern University Feinburg School of Medicine

22 Chicago, Illinois

1 **Jose U. Scher, MD**

2 Assistant Professor of Medicine

3 New York University School of Medicine

4 Director, NYU Psoriatic Arthritis Center

5 Director, NYU-Langone Hospital for Joint Diseases

6 Arthritis Clinic

7 Director, Microbiome Center for Rheumatology and

8 Autoimmunity

9 New York, New York

10

11 **Joseph Shiloach, PhD**

12 Head

13 Biotechnology Core

14 National Institute of Diabetes and Digestive and

15 Kidney Diseases, NIH

16 Bethesda, Maryland

17

18

19

20

21

22

1 **Richard Siegel, MD, PhD**

2 Senior Investigator and Chief, Autoimmunity Branch

3 Clinical Director

4 National Institute of Arthritis and

5 Musculoskeletal and Skin Diseases, NIH

6 Bethesda, Maryland

7

8 **Daniel Solomon, MD, MPH**

9 *(Acting Chairperson)*

10 Professor of Medicine

11 Harvard Medical School

12 Chief, Section of Clinical Sciences

13 Division of Rheumatology

14 Division of Pharmacoepidemiology

15 Brigham and Women's Hospital

16 Boston, Massachusetts

17

18

19

20

21

22

1 **Scott A. Waldman, MD, PhD, FCP, FAHA**

2 Director, Gastrointestinal Cancer Program of the
3 Sidney Kimmel Cancer Center
4 Samuel M.V. Hamilton Professor of Medicine and
5 Chair, Department of Pharmacology and
6 Experimental Therapeutics
7 Sidney Kimmel Medical College
8 Thomas Jefferson University
9 Philadelphia, Pennsylvania

10
11 **Yihong Ye, MD, PhD**

12 Senior Investigator
13 National Institute of Diabetes, Digestive, and
14 Kidney Diseases, NIH
15 Bethesda, Maryland

16
17 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

18 **(Non-Voting)**

19 **Sean P. Curtis, MD**

20 Head, Global Scientific Affairs
21 Merck Research Laboratories
22 Merck and Co, Inc.

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Leah Christl, PhD**

3 Associate Director

4 Therapeutic Biologics

5 Therapeutic Biologics and Biosimilars Staff

6 Office of New Drugs (OND)

7 CDER, FDA

8

9 **Badrul Chowdhury, MD, PhD**

10 Director

11 Division of Pulmonary, Allergy, and Rheumatology

12 Products (DPARP)

13 Office of Drug Evaluation II (ODE-II)

14 OND, CDER, FDA

15

16 **Nikolay P. Nikolov, MD**

17 Clinical Team Leader

18 DPARP, ODE-II, OND, CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Steven Kozlowski, MD

Director
Office of Biotechnology Products (OBP)
Office of Pharmaceutical Quality (OPQ)
CDER, FDA

Peter Adams, PhD

CMC Product Quality Reviewer
Division of Biotechnology Review and Research I
OBP, OPQ, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Daniel Solomon, MD, MPH	15
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	20
7	Overview of the Regulatory Pathway and	
8	FDA's Guidance for the Development and	
9	Approval of Biosimilar Products in the US	
10	Leah Christl, PhD	25
11	Clarifying Questions to FDA	50
12	FDA Introductory Remarks	
13	Nikolay Nikolov, MD	53
14	Applicant Presentations - Sandoz, Inc.	
15	Introduction and Concept	
16	Mark McCamish, MD, PhD	61
17	Analytical Demonstration of Similarity	
18	Martin Schiestl, PhD	79
19	Non-clinical and Pharmacokinetic	
20	Characterization of GP2015	
21	Oliver von Richter, PhD, FCP	100
22		

C O N T E N T S (continued)		
	AGENDA ITEM	PAGE
1		
2		
3	Clinical Confirmation of GP2015	
4	Equivalence to Enbrel	
5	Malte Peters, MD	111
6	Use in Clinical Practice	
7	Jonathan Kay, MD	134
8	Conclusions	
9	Mark McCamish, MD, PhD	140
10	Clarifying Questions to Applicant	141
11	FDA Presentations	
12	GP2015 Product Quality Review	
13	Peter Adams, PhD	175
14	GP2015 Statistical Evidence Testing for	
15	Bioactivity	
16	Meiyu Shen, PhD	190
17	GP2015 Product Quality Review (continued)	
18	Peter Adams, PhD	195
19	Clinical Pharmacology Review	
20	Yunzhao Ren, MD, PhD	200
21	Clinical Efficacy Review	
22	Kathleen Fritsch, PhD	206

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Clinical Safety and Immunogenicity	
4	Review, Considerations for Extrapolation and	
5	Summary of FDA Presentation	
6	Rachel Glaser, MD	216
7	Clarifying Questions to FDA	230
8	Open Public Hearing	255
9	Charge to the Committee	
10	Nikolay Nikolov, MD	319
11	Questions to the Committee and Discussion	323
12	Adjournment	355
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (7:32 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. SOLOMON: Good morning. I would like to
6 first remind everyone to please silence your
7 cell phones, smartphones, and any other devices if
8 you have not already done so. I would like to
9 identify the FDA press contact, Theresa Eisenman.
10 If you are present, please stand. There she is.
11 Hi, Theresa.

12 My name is Daniel Solomon. I'm the acting
13 chairperson of the Arthritis Advisory Committee,
14 and I will be chairing this meeting. I'll now call
15 the Arthritis Advisory Committee meeting to order,
16 and we'll start by going around the table and
17 introducing ourselves. Let's start on my right,
18 down at the end.

19 Sean, maybe you can start and we'll work our
20 way around.

21 DR. CURTIS: Hi. Good morning. My name is
22 Sean Curtis. I'm head of scientific affairs at

1 Merck, and I'm acting as the industry
2 representative.

3 DR. YE: Hi. Good morning. My name is
4 Yihong Ye, and I'm working at the National
5 Institute of Diabetes, Digestive and Kidney
6 Disease, and I'm a senior investigator there
7 working on protein folding/misfolding.

8 DR. SHILOACH: Hi. My name is Joseph
9 Shiloach. I'm working at the NIH at the NIDDK.
10 And I'm in charge of the biotechnology core
11 laboratory that we produce proteins and other
12 biochemicals needed for clinical research and
13 special studies.

14 DR. BERGFELD: I'm Wilma Bergfeld,
15 dermatologist and dermatopathologist from the
16 Cleveland Clinic.

17 DR. ROBINSON: June Robinson, research
18 professor of dermatology, Northwestern University,
19 Chicago.

20 DR. MARGOLIS: David Margolis, professor of
21 dermatology and professor of epidemiology at the
22 University of Pennsylvania.

1 MS. ARONSON: Good morning. I'm Diane
2 Aronson. I am the patient representative.

3 DR. HORONJEFF: Jennifer Horonjeff,
4 researcher and rheumatology at Columbia University
5 Medical Center, and I'm also here as a consumer
6 representative.

7 DR. OLIVER: Good morning. Alyce Oliver.
8 I'm at the Medical College of Georgia. I'm an
9 adult rheumatologist and medical director for
10 ambulatory medicine.

11 DR. MILLER: I am Don Miller, professor of
12 pharmacy practice at North Dakota State University.

13 DR. BECKER: Hi. I'm Mara Becker. I'm a
14 pediatric rheumatologist and division director at
15 Children's Mercy Hospital in Kansas City.

16 DR. SOLOMON: I'm Dan Solomon. I'm an adult
17 rheumatologist at Brigham and Women's Hospital and
18 professor of medicine at Harvard.

19 DR. CHOI: Moon Hee Choi, designated federal
20 officer.

21 DR. JONAS: I'm Beth Jonas, associate
22 professor of medicine in the Division of

1 Rheumatology, and director of the fellowship
2 training program at the University of North
3 Carolina in Chapel Hill.

4 DR. REIMOLD: Andreas Reimold. I'm a
5 rheumatologist at the Dallas VA and the University
6 of Texas Southwestern Medical Center.

7 DR. SCHER: Jose Scher, New York University
8 of Rheumatology, director of the psoriatic
9 arthritis center.

10 DR. BILKER: Warren Bilker, professor of
11 biostatistics at the University of Pennsylvania.

12 DR. HANCOCK: Good morning. William
13 Hancock, professor of bioanalytical chemistry at
14 Northeastern University, Barnett Institute.

15 DR. BRITTAIN: Erica Brittain. I'm a
16 statistician at the National Institute of Allergy
17 and Infectious Diseases, NIH.

18 DR. WALDMAN: Scott Waldman, professor of
19 medicine and chair of pharmacology and experimental
20 therapeutics at Thomas Jefferson University in
21 Philadelphia.

22 DR. MAGER: Don Mager, a professor of

1 pharmaceutical sciences at the University of
2 Buffalo.

3 DR. ADAMS: Peter Adams, product quality
4 reviewer, Office of Biotechnology Product, FDA.

5 DR. KOZLOWSKI: Steve Kozlowski, director,
6 Office of Biotechnology Products, CDER, FDA.

7 DR. NIKOLOV: I'm Nikolay Nikolov, clinical
8 team leader in the Division of Pulmonary Allergy
9 and Rheumatology Products at the FDA.

10 DR. CHOWDHURY: I'm Badrul Chowdhury,
11 division director, Division of Pulmonary, Allergy,
12 and Rheumatology Products, CDER, FDA.

13 DR. CHRISTL: Leah Christl, associate
14 director for therapeutic biologics in the Office of
15 New Drugs, CDER, FDA.

16 DR. SOLOMON: Great. It's nice to have so
17 many people back from yesterday. I think that will
18 facilitate the conversation today.

19 For topics such as those being discussed at
20 today's meeting, there are often a variety of
21 opinions, some of which are quite strongly held.
22 Our goal is that today's meeting will be a fair and

1 open forum for discussion of these issues, and that
2 individuals can express their views without
3 interruption. Thus, as a gentle reminder,
4 individuals will be allowed to speak into the
5 record only if recognized by the chair. We look
6 forward to a productive meeting.

7 In the spirit of the Federal Advisory
8 Committee Act and the Government in the Sunshine
9 Act, we ask that the advisory committee members
10 take care that their conversations about the topic
11 at hand take place in the open forum of the
12 meeting. We are aware that members of the media
13 are anxious to speak with the FDA about these
14 proceedings, however, FDA will refrain from
15 discussing the details of this meeting with the
16 media until its conclusion. Also, the committee is
17 reminded to please refrain from discussing the
18 meeting topic during breaks or lunch. Thank you.

19 Now I will pass it to Moon Hee Choi who will
20 read the conflict of interest statement.

21 **Conflict of Interest Statement**

22 DR. CHOI: The Food and Drug Administration

1 is convening today's meeting of the Arthritis
2 Advisory Committee under the authority of the
3 Federal Advisory Committee Act of 1972. With the
4 exception of the industry representative, all
5 members and temporary voting members of the
6 committee are special government employees or
7 regular federal employees from other agencies and
8 are subject to federal conflict of interest laws
9 and regulations.

10 The following information on the status of
11 this committee's compliance with federal ethics and
12 conflict of interest laws, covered by but not
13 limited to those found at 18 U.S.C., Section 208,
14 is being provided to participants in today's
15 meeting and to the public. FDA has determined that
16 members and temporary voting members of this
17 committee are in compliance with federal ethics and
18 conflict of interest laws.

19 Under 18 U.S.C., Section 208, Congress has
20 authorized FDA to grant waivers to special
21 government employees and regular federal employees
22 who have potential financial conflicts when it is

1 determined that the agency's need for a particular
2 individual's services outweighs his or her
3 potential financial conflict of interest.

4 Related to the discussions at today's
5 meetings, members and temporary voting members of
6 this committee have been screened for potential
7 financial conflicts of interest of their own, as
8 well as those imputed to them, including those of
9 their spouses or minor children, and for purposes
10 of 18 U.S.C., Section 208, their employers. These
11 interests may include investments, consulting,
12 expert witness testimony, contracts, grants,
13 CRADAs, teaching, speaking, writing, patents and
14 royalties, and primary employment.

15 Today's agenda involves biologics license
16 application, BLA 761042, for GP2015, a proposed
17 biosimilar to Amgen's Enbrel, etanercept, submitted
18 by Sandoz. The proposed indications, uses, for
19 this product are:

20 1) Reducing signs and symptoms, inducing
21 major clinical response, inhibiting the progression
22 of structural damage, and improving physical

1 function in patients with moderately to severely
2 active rheumatoid arthritis, in combination with
3 methotrexate or used alone;

4 2) reducing signs and symptoms of moderately
5 to severely active polyarticular juvenile
6 idiopathic arthritis in patients ages 2 and older;

7 3) reducing signs and symptoms, inhibiting
8 the progression of structural damage of active
9 arthritis and improving physical function in
10 patients with psoriatic arthritis in combination
11 with methotrexate in patients who do not respond
12 adequately to methotrexate alone;

13 4) reducing signs and symptoms in patients
14 with active ankylosing spondylitis; and

15 5) treatment of adult patients 18 years or
16 older with chronic moderate to severe plaque
17 psoriasis who are candidates for systemic therapy
18 or phototherapy.

19 This is a particular matters meeting during
20 which specific matters related to Sandoz's BLA will
21 be discussed. Based on the agenda for today's
22 meeting and all financial interests reported by the

1 committee members and temporary voting members, no
2 conflict of interest waivers have been issued in
3 connection with this meeting. To ensure
4 transparency, we encourage all standing committee
5 members and temporary voting members disclose any
6 public statements that they have made concerning
7 the product at issue.

8 With respect to FDA's invited industry
9 representative, we would like to disclose that
10 Dr. Sean Curtis is participating in this meeting as
11 a non-voting industry representative acting on
12 behalf of regulated industry. Dr. Curtis's role at
13 this meeting is to represent industry in general
14 and not any particular company. Dr. Curtis is
15 employed by Merck and Company.

16 We would like to remind members and
17 temporary voting members that if the discussions
18 involve any other products or firms not already on
19 the agenda for which an FDA participant has a
20 personal or financial imputed interest, the
21 participants need to exclude themselves from such
22 involvement, and their exclusion will be noted for

1 the record. FDA encourages all other participants
2 to advise the committee of any financial
3 relationships that they may have with the firm at
4 issue. Thank you.

5 DR. SOLOMON: We will now proceed with an
6 overview of the 351(k) regulatory pathway from
7 Dr. Leah Christl.

8 **Presentation - Leah Christl**

9 DR. CHRISTL: Good morning. My name is Leah
10 Christl, and I'm going to take time to provide you
11 with a regulatory overview of the biosimilar
12 pathway. I apologize in advance for those who had
13 to sit through this presentation yesterday, but
14 this is a distinct meeting from the meeting
15 yesterday, and we do have a change in the committee
16 membership, as well as possibly some of the
17 audience attendees. So we felt that it was
18 important to go through this again, and also the
19 committee may have some clarifying questions as
20 well regarding the pathway for those who
21 participated yesterday.

22 I'll go through the background of the

1 regulatory pathway, talk about some definitions to
2 give some clarity about the terminology, talk about
3 the general requirements for the approval pathway
4 that are outlined in the law, and then we'll talk a
5 little bit about the development concepts around
6 biosimilars.

7 The Biologics Price Competition and
8 Innovation Act of 2009, or the BPCI Act, was passed
9 as part of health reform in the Affordable Care Act
10 on March 23rd of 2010. And what it did is that it
11 created an abbreviated licensure pathway for
12 biologic products that are shown to be biosimilar
13 to, or interchangeable with, an FDA licensed
14 reference product. And we'll talk a little bit
15 about what each of those terms mean.

16 What do we mean by an abbreviated approval
17 pathway or abbreviated licensure pathway? The Act
18 states that a biologic product that is demonstrated
19 to be highly similar to an FDA licensed biologic
20 product, which is referred to as the reference
21 product, may rely for licensure on, among other
22 things, publicly available information regarding

1 FDA's previous determination that the reference
2 product is safe, pure, and potent for the labeled
3 conditions of use.

4 This licensure pathway permits a biosimilar
5 biologic product to be licensed under 351(k) of the
6 Public Health Service Act based on less than a full
7 complement of product-specific preclinical and
8 clinical data.

9 This is what's meant by the abbreviated
10 licensure pathway. It's the concept of that
11 reliance on what's known about the reference
12 product such that you can have less than a full
13 complement of product specific preclinical and
14 clinical data about the proposed product.

15 What do we mean by biosimilarity?
16 Biosimilarity is defined to mean that the biologic
17 product is highly similar to the reference product,
18 notwithstanding minor differences in clinically
19 inactive components, and that there are no
20 clinically meaningful differences between the
21 proposed product and the reference product in terms
22 of safety, purity, and potency of the product.

1 When we talk about safety, purity, and
2 potency, it's the description in the Public Health
3 Service Act, but in more lay terms, we're really
4 talking about safety and efficacy of the product.
5 It's just we use different terminology.

6 What do we mean by reference product?
7 Reference product means the single biological
8 product licensed under a Section 351(a) of the
9 Public Health Service Act, against which a
10 biological product is evaluated in an application
11 submitted under Section 351(k) of the PHS Act. And
12 (a)s and (k)s are very regulatory terms, so we'll
13 talk a little bit about what those mean.

14 An application that's submitted under
15 Section 351(a) of the Public Health Service Act is
16 a standalone application that contains all the
17 information and data that are necessary to
18 demonstrate that that proposed product is safe,
19 pure and potent.

20 In contrast, an application that's submitted
21 under Section 351(k), so again this would be for a
22 biosimilar product, needs to demonstrate that the

1 proposed product is biosimilar to the reference
2 product. For licensure, a proposed biosimilar
3 relies on, among other things, comparative data
4 with the reference product, as well as publicly
5 available information regarding FDA's previous
6 determination that the reference product is safe,
7 pure, and potent.

8 The standard for approval of originator
9 products, or these standalone products under
10 351(a), biosimilar products under 351(k), is that
11 both must demonstrate that they're safe, pure, and
12 potent for the conditions of use that are sought
13 for licensure. However, the data packages to
14 support this finding will differ between the
15 pathways between the standalone and the biosimilar
16 marketing application. And we'll talk a little bit
17 more in future slides about the content of those
18 data packages and how they differ.

19 While the subject of today's meeting is not
20 a proposed interchangeable product, it is a
21 proposed biosimilar product. In the context of
22 giving a regulatory overview, we think it's

1 important for folks to know the definition for
2 interchangeable as well. So again, products can be
3 biosimilar to, or interchangeable with, an FDA
4 licensed reference product.

5 Interchangeability is defined in the Act to
6 mean that the biologic product is biosimilar to the
7 reference product, so it meets that standard of
8 being highly similar with no clinically meaningful
9 differences.

10 In addition, it can be expected to produce
11 the same clinical result as the reference product
12 in any given patient. And for a product that's
13 administered more than once to an individual, the
14 risk in terms of safety or diminished efficacy of
15 alternating or switching between the use of the
16 product and its reference product is not greater
17 than the risk of using the reference product
18 without such alternation or switch.

19 The Act goes on to state that an
20 interchangeable product may be substituted for the
21 reference product without the intervention of the
22 healthcare provider who prescribed the product.

1 And that concept of substitution is specific to
2 interchangeable products. The Act does not
3 contemplate this for biosimilar products; it's only
4 for interchangeable products.

5 The Act describes general requirements in
6 terms of the information that a biosimilar
7 application must contain. So it needs to contain
8 information that demonstrates that that product is
9 biosimilar to the reference product; also, that it
10 utilizes the same mechanism or mechanisms of action
11 for the proposed conditions of use, but only to the
12 extent that those are known for the reference
13 product.

14 The conditions of use, such as indications,
15 populations, proposed in labeling, need to have
16 been previously approved for the reference product.
17 It has the same route of administration, dosage
18 form, and strength as the reference product. And
19 that the manufacturing process and the facility
20 meet the FDA's standards for biological products
21 such that the product continues to be safe, pure,
22 and potent.

1 The types of data that would be submitted in
2 an application for a biosimilar product are also
3 discussed in the BPCI Act. So an application would
4 include, among other things, information
5 demonstrating biosimilarity based upon data derived
6 from analytical studies, animal studies, and
7 clinical study or studies.

8 It states that the analytical studies would
9 be demonstrating that the biological product is
10 highly similar to the reference product,
11 notwithstanding minor differences in clinically
12 inactive components; animal studies, which could
13 include an assessment of toxicity; and a clinical
14 study or studies which could include the assessment
15 of immunogenicity and pharmacokinetics or
16 pharmacodynamics that are sufficient to demonstrate
17 safety, purity, and potency in one or more
18 appropriate conditions of use for which the
19 reference product is licensed and for which
20 licensure is sought for the biosimilar.

21 The Act goes on to state that FDA may
22 determine in its discretion that one of the data

1 elements described above is unnecessary in a 351(k)
2 application, and we'll talk a little bit more about
3 that in future slides when we talk about some of
4 the development concepts.

5 While biosimilarity is demonstrated to the
6 US-licensed reference product, FDA has taken the
7 scientific position, as articulated in the
8 guidance, that data from animal studies and certain
9 clinical studies comparing the proposed biosimilar
10 product with a non-US-licensed comparator, may be
11 used to support a demonstration of biosimilarity to
12 a reference product.

13 The sponsor in this case should provide
14 adequate data or information to scientifically
15 justify the relevance of these comparative data to
16 an assessment of biosimilarity and to establish an
17 acceptable bridge to the US-licensed reference
18 product.

19 What this means is that the sponsor provides
20 data to show that the lots of the non-US-licensed
21 comparator would be representative of an outcome if
22 the U.S. reference product was used. We're not

1 making a finding that the U.S. and non-U.S.
2 products are the same. It's about justifying the
3 relevance of that data and making a connect between
4 the non-US-licensed comparator and the US-licensed
5 reference product in terms of the representative
6 nature of the data in terms of a demonstration of
7 biosimilarity.

8 The type of bridging data that would be
9 needed to be provided by the sponsor would include
10 direct physical/chemical comparison of all three
11 products. It would likely include a three-way
12 bridging clinical, clinical PK, and/or PD study.
13 And all three pairwise comparisons should meet the
14 prespecified acceptance criteria for similarity.

15 Again, the sponsor needs to justify the
16 extent of the comparative data needed to establish
17 the bridge to the US-licensed reference product and
18 provide appropriate justification in terms of
19 supporting the relevance of that data that's
20 generated with a non-US licensed comparator.

21 Now we'll move into an overview of the
22 approach to the development of biosimilars. And as

1 I noted yesterday, we find it easier to move
2 through this information instead of just
3 regurgitating the guidance to focus on some key
4 concepts around development of these products.

5 The first key concept is that the goals of a
6 standalone and biosimilar development program are
7 different. A standalone development program, which
8 again is under 351(a) of the PHS Act, the goal of
9 that development program is to establish the safety
10 and efficacy of the new product.

11 Drug development would start with
12 preclinical research, moves on to phase 1, phase 2,
13 clinical studies, and then culminates in phase 3
14 pivotal clinical trials to demonstrate safety and
15 efficacy for the proposed conditions of use. This
16 is the model of drug development that most
17 individuals are familiar with.

18 In contrast, the abbreviated licensure
19 pathway, which is again under 351(k) of the PHS
20 Act, the goal of that development program is to
21 demonstrate biosimilarity between the proposed
22 product and the reference product. The goal of a

1 biosimilar development program is not to
2 independently establish the safety and
3 effectiveness of the proposed product. The
4 reference product already did that in their studies
5 in terms of those pivotal clinical studies to
6 demonstrate safety and efficacy. The goal for a
7 biosimilar development program is to demonstrate
8 that they're biosimilar to the reference product.

9 This abbreviated pathway means that the
10 biosimilar product can be approved based on less
11 than a full complement of the product specific
12 preclinical and clinical data because there can be
13 reliance on certain existing scientific knowledge
14 about the safety and effectiveness of the reference
15 product. This approach avoids unnecessary
16 expensive and unethical duplication of studies and
17 it allows safe and effective products to be made
18 available to patients.

19 Although the contents of the development
20 program package, as you can see here, the types of
21 data in terms of analytical and non-clinical,
22 clinical pharmacology, and clinical, are generally

1 similar. The emphasis on each of those data
2 elements is different between the two development
3 pathways, representing the different paradigm in
4 drug development.

5 The data package required for approval of a
6 biosimilar product is quite extensive. It's the
7 pathway that's abbreviated in terms of the route to
8 licensure. It's not the data package that is
9 abbreviated. Again, it is quite extensive, It's
10 just a different type of data.

11 The second key concept involves the approach
12 to developing the data to support a demonstration
13 of biosimilarity. FDA has outlined in guidance a
14 stepwise approach to generating this data in
15 support of a demonstration of biosimilarity. And
16 if you remember from the previous slide, that
17 pyramid approach with the analytical data being the
18 foundation and then moving up through non-clinical,
19 clinical pharmacology, and eventually to additional
20 clinical studies.

21 What this is, is a stepwise approach of
22 generating data beginning with that analytical

1 foundation. What a sponsor needs to do is evaluate
2 residual uncertainty at each step as they're
3 generating data, and it's ultimately the totality
4 of the evidence that supports a demonstration of
5 biosimilarity.

6 So sponsors apply a stepwise approach to
7 data generation in the evaluation of residual
8 uncertainty about biosimilarity at each step of
9 development as they generate data. And the
10 questions that come up that need to be addressed
11 are what differences have been observed and what's
12 the potential impact of those differences?

13 So if there's differences observed in the
14 analytical similarity data, what do we think the
15 potential impacts of those differences could be,
16 and then how do you evaluate the impact of those
17 differences? What are the studies that are the
18 best studies to look at the impact of the
19 differences?

20 There's no one pivotal study that
21 demonstrates biosimilarity, so again folks are used
22 to in standalone drug development that there's

1 pivotal phase 3 studies to demonstrate safety and
2 efficacy. Again, there's no one pivotal study that
3 demonstrates biosimilarity; it's that totality of
4 the evidence.

5 The third key concept is around the
6 analytical similarity data. And again, this is the
7 foundation of the biosimilar development program,
8 and this involves extensive structural and
9 functional characterization of both the reference
10 product and the proposed product.

11 A comparative assessment of the attributes
12 needs to occur on an analytical level looking at
13 structural and functional attributes, and these can
14 include a number of things, including amino acid,
15 heterogeneity, glycosylation, bioactivity. If a
16 molecule is known to have multiple biological
17 activities, where feasible, each should be
18 demonstrated to be highly similar between the
19 proposed product and the reference product.

20 So it's important for the sponsor as well as
21 the agency to understand the molecule and the
22 function, and to identify the critical quality

1 attributes that are involved with the function of
2 that molecule, the biological function of that
3 molecule.

4 In order to generate this data, the sponsor
5 will first characterize the reference product
6 quality characteristics and product variability.
7 Then they will design a manufacturing process for
8 the proposed biosimilar product to produce a
9 product with minimal to no differences in product
10 quality characteristics compared to the reference
11 product.

12 They need to identify and evaluate the
13 potential impact of any differences that are
14 observed, and then determine what study or studies
15 will address the residual uncertainty to answer
16 those outstanding questions.

17 So it's important, again, to understand the
18 relationship between the quality attributes and the
19 clinical safety and efficacy profile because this
20 aids in the ability to determine residual
21 uncertainty about biosimilarity and to predict
22 expected clinical similarity from the quality data.

1 FDA has also taken a scientific approach of
2 applying a statistical analysis to the analytical
3 similarity data. So the statistical analysis of
4 these data are conducted to support a demonstration
5 of highly similar. It's not a pass/fail system;
6 it's part of the demonstration of highly similar.

7 So the quality attributes are ranked based
8 on criticality with regarding to their potential
9 impact on activity, PK or PD, safety,
10 immunogenicity, and other factors. The data are
11 then analyzed by various testing methodologies,
12 which could include equivalence testing for certain
13 highly critical attributes that are involved with
14 the function of the molecule; quality range
15 methodology for other critical to low critical
16 quality attributes; and then raw graphical
17 comparisons for other attributes that are either
18 lower ranked in criticality or not amenable to
19 other testing methodologies, such as amino acid
20 sequence, which is a highly critical attribute,
21 however it's not amenable to any sort of testing
22 methodology. It either is or isn't the same.

1 In terms of the animal data, animal toxicity
2 data can be useful when there are uncertainties
3 remaining about the safety of the proposed product
4 prior to initiating clinical studies. However, the
5 scope and extent of animal studies, including such
6 an assessment of toxicity, will depend on publicly
7 available information and/or data submitted in the
8 biosimilar application regarding the reference
9 product and the proposed product, and the extent of
10 any know similarities or differences between the
11 two products.

12 This is a place where I had mentioned before
13 the FDA may, in its discretion, determine that one
14 of those data elements is unnecessary. We really
15 look at the animal toxicity data, and any other
16 animal studies, to support what we refer to as a
17 safe-to-proceed decision in terms of initiating
18 clinical studies. And that assessment depends on
19 the amount of analytical similarity data that a
20 sponsor submits to the agency at the time that they
21 initiate clinical studies, and if there's any
22 differences observed between the products and if

1 there's uncertainty in the realm of safety. In
2 some cases, from a similarity aspect, a comparison
3 of PK or PD in an animal model may also be useful.

4 The fourth key concept involves the role of
5 the clinical studies in a biosimilar development
6 program. The nature and scope of clinical studies
7 will depend on the extent of residual uncertainty
8 about biosimilarity between the products after
9 conducting the structural and functional
10 characterization, and where relevant, animal
11 studies. So again, it's that stepwise approach
12 where you're moving up that pyramid with the base
13 being the analytical comparison.

14 As a scientific matter, FDA does expect an
15 adequate clinical PK, and PD if relevant,
16 comparison between the proposed biosimilar product
17 and the reference product. As a scientific matter,
18 at least one clinical study that includes a
19 comparison of the immunogenicity of the proposed
20 product and the reference product is also expected.

21 When we talk about clinical studies for
22 biosimilars, we mean any studies in humans, so

1 these can include a clinical pharmacology study in
2 addition to a more traditional clinical safety or
3 efficacy study. We always encourage sponsors to
4 collect immunogenicity and other safety data in any
5 clinical study that they use because, again, we're
6 looking at that totality of the evidence.

7 Also as a scientific matter, a comparative
8 clinical study will be necessary to support a
9 demonstration of biosimilarity if there are
10 residual uncertainties about whether there are
11 clinically meaningful differences between the
12 proposed product and the reference product, based
13 on the structural and functional characterization,
14 animal testing when necessary, human PK and PD
15 data, and the clinical immunogenicity assessment.

16 Again, it's moving up that pyramid with this
17 concept of additional clinical data being at the
18 top of that pyramid as you're moving through
19 generating data, looking at what residual
20 uncertainty you have about biosimilarity.

21 More specifically in terms of the types of
22 clinical data, PK and/or PD data is generally

1 considered to be the most sensitive clinical study
2 or assay in which to assess for differences between
3 the products. Again, it's not the responsibility
4 of the biosimilar applicant to determine the PK
5 profile of its own product, choose clinical doses;
6 the reference product already did that.

7 Here we're looking at comparative PK and
8 looking at PK and/or PD similarity. Demonstrating
9 PK similarity should be done in an adequately
10 sensitive population to detect any differences
11 between the products, should they exist. And for
12 PD, the use of similar PD using PD measures that
13 reflect the mechanism of action, or reflects
14 biological activity of the drug, should be
15 conducted.

16 Not all products will have a good PD
17 measure, some do and some don't, and this concept
18 of whether or not there is a good PD measure or
19 endpoint can play into the concept of whether or
20 not there's residual uncertainty about no
21 clinically meaningful differences in addition to
22 the demonstration of biosimilarity.

1 PK and PD similarity data will support a
2 demonstration of biosimilarity with the assumption
3 that similar exposure and pharmacodynamic response,
4 if it's applicable for the product, will provide
5 similar efficacy and safety. In other words, an
6 exposure response relationship exists for the
7 product.

8 For a comparative clinical study, this
9 study, if it's deemed necessary to be conducted to
10 address residual uncertainty about biosimilarity,
11 again should be designed to investigate whether
12 there are clinically meaningful differences in
13 safety and efficacy between the proposed product
14 and the reference product. Therefore the
15 population, endpoint, sample size, and study
16 duration should be adequately sensitive to detect
17 differences between the products should they exist.

18 Typically, FDA looks for an equivalence
19 design. Again, it's the concept of no clinically
20 meaningful differences, so we look at an
21 equivalence design that wouldn't normally be
22 recommended. But for certain products, other

1 designs may be justified depending on
2 product-specific and program-specific
3 considerations. Again, if a comparative clinical
4 study is conducted, FDA would expect that there is
5 an assessment of safety and immunogenicity that is
6 a part of this study.

7 Once the sponsor generates all of this data
8 that we've talked about -- the analytical
9 similarity data; comparative animal studies, if
10 they're deemed necessary; comparative PK, PD if
11 it's relevant; and possibly a comparative clinical
12 study; and then also comparative immunogenicity
13 data -- they have all of this data that's
14 supporting the demonstration of biosimilarity.

15 The potential does exist for a biosimilar
16 product to be approved for one or more conditions
17 of use for which the reference product is licensed,
18 based on extrapolation of data that's intended to
19 support a demonstration of biosimilarity in one
20 condition of use, such as if they conducted a
21 comparative clinical study in one indication, to
22 other conditions of use for which the reference

1 product is licensed and for which the biosimilar is
2 seeking licensure. In this case, a sponsor would
3 need to provide scientific justification for
4 extrapolating data.

5 FDA has outlined in guidance a number of
6 factors or issues that need to be considered in the
7 context of the scientific justification to support
8 extrapolation. This can include the mechanism of
9 action in each condition of use for which a
10 licensure is sought; the PK and biodistribution of
11 the product in the different patient populations;
12 the immunogenicity of the product in different
13 patient populations; and then any differences in
14 expected toxicities in each condition of use in the
15 patient population.

16 Differences between the conditions of use do
17 not preclude extrapolation. What it means in terms
18 of providing a scientific justification is that
19 those factors and issues need to be addressed with
20 information, and sometimes data. That data is not
21 always going to be clinical data. It could be
22 functional data looking at the different mechanisms

1 of action to support the concept of extrapolation.

2 The sponsor needs to ensure that that
3 totality of the evidence, including the scientific
4 justification for extrapolation, supports their
5 approach; and again their total data package in the
6 context of the totality of the evidence supports a
7 demonstration of biosimilarity for all the
8 conditions of use for which they're seeking
9 licensure.

10 In summary, the content of a biosimilar
11 development program is based on the stepwise
12 evidence development and the evaluation of residual
13 uncertainty about biosimilarity between the
14 proposed biosimilar product and the reference
15 product.

16 Approval of a proposed biosimilar product is
17 based on the integration of various information and
18 the totality of the evidence submitted by the
19 biosimilar sponsor to provide an overall assessment
20 that the proposed product is biosimilar to the
21 reference product.

22 At this point, I thank you for your

1 attention, and I am happy to take clarifying
2 questions from the committee if there are any.

3 **Clarifying Questions to the FDA**

4 DR. SOLOMON: Thank you. That was very
5 helpful. Are there clarifying questions from the
6 committee?

7 One point that I'd ask you about is the
8 interchangeability question, and is there a
9 guidance document yet on interchangeability?

10 DR. CHRISTL: Right. FDA is working on a
11 guidance document for interchangeability. It is on
12 our guidance agenda for this calendar year. And we
13 know it's considered most valuable guidance by
14 sponsors as well as the prescribing community and
15 patients. It's also most valuable guidance I would
16 say for the agency as well. So we are working on
17 that, and we hope that that will issue in this
18 calendar year.

19 DR. SOLOMON: Great. Any other clarifying
20 questions?

21 (No audible response.)

22 DR. SOLOMON: I'll ask one more. You

1 mentioned the concept of safe-to-proceed decision.
2 And I guess while I know this is background
3 information, I'm thinking about other
4 presentations. And it's not entirely clear always
5 those background decisions that are being made by
6 the agency and whether those come into play in a
7 specific application.

8 I don't know if you can comment on that, how
9 that might have come into play in what we're going
10 to talk about today.

11 DR. CHRISTL: Right. We have a very
12 iterative process with sponsors in terms of the
13 development of biosimilars. We have a separate
14 user fee program that involves different types of
15 meetings, so we have an extremely iterative process
16 to support this concept of the stepwise evidence
17 development.

18 Sponsors will start generating data, will
19 bring information to us, ask questions about
20 proceeding through their development program.
21 We'll talk about what residual uncertainty is and
22 help them to target their program such that they're

1 not doing unnecessary studies and really focusing
2 on what it is that they need to do.

3 So certainly those conversations in the
4 development space, FDA recommendations about what
5 studies are necessary, certainly come into play as
6 we look at the totality of the data to support
7 licensure, whether or not our recommendations were
8 followed, whether or not the data supports a
9 demonstration of biosimilarity. We do think about
10 our interactions and the history of that
11 application as we move through the review of the
12 pending licensure application in front of the FDA.

13 DR. SOLOMON: Thank you.

14 Any other questions?

15 (No response.)

16 DR. SOLOMON: I wanted to ask Dr. Siegel,
17 who came in late, to introduce himself.

18 DR. SIEGEL: I have the shortest to go, but
19 sorry about that. Anyway, yes. I'm Richard
20 Siegel. I work at the NIH. I am the clinical
21 director of the NIAMS, whose portfolio includes
22 arthritis and does primarily rheumatology research.

1 And my lab studies are TNF, super family,
2 cytokines, biology, and signaling.

3 DR. SOLOMON: Great. Thank you.

4 Thank you, Dr. Christl.

5 We'll now proceed with additional
6 introductory FDA remarks from Dr. Nikolov.

7 **FDA Introductory Remarks - Nikolay Nikolov**

8 DR. NIKOLOV: Good morning, everyone. The
9 fact that there were not that many questions to
10 Dr. Christl, I'll take it as a good sign. But I
11 think we'll be certainly open to address any
12 questions during the day in the discussion.

13 I would like to welcome you to the Arthritis
14 Advisory Committee meeting for the 351(k) biologic
15 license application for GP2015, a proposed
16 biosimilar to US-licensed Enbrel.

17 My name is Nicolay Nikolov. I am a clinical
18 team leader in the Division of Pulmonary, Allergy,
19 and Rheumatology Products. I'm also an adult
20 rheumatologist. Before I begin, I would like to
21 thank the members of this advisory committee for
22 taking the time out of your busy schedules to come

1 in and provide your expertise.

2 In the next few slides, I will provide an
3 overview of GP2015 development program in the
4 context of the abbreviated licensure pathway that
5 Dr. Christl described and summarized before me.

6 The applicant, Sandoz, has submitted a
7 biologics license application, or a BLA, under
8 Section 351(k) of the Public Health Service Act for
9 GP2015, a proposed biosimilar to US-licensed
10 Enbrel. GP2015 is being developed for the same
11 indications for which U.S. Enbrel is licensed, as
12 listed on this slide.

13 To support this application, Sandoz provided
14 extensive analytical data intended to support:

15 1) a demonstration that GP2015 and
16 US-licensed Enbrel are highly similar; and

17 2) a demonstration that GP2015 can be
18 manufactured in a well-controlled and consistent
19 manner, leading to a product that is sufficient to
20 meet required quality standards.

21 To support the demonstration of no
22 clinically meaningful differences between GP2015

1 and US-licensed Enbrel, Sandoz provided data
2 intended to demonstrate:

3 1) similarity in exposure in healthy
4 subjects;

5 2) similarity in efficacy and safety in
6 patients with plaque psoriasis; and

7 3) similarity in immunogenicity between
8 GP2015 and Enbrel in patients with plaque psoriasis
9 in healthy subjects, as well as in patients who
10 underwent a transition from Enbrel to GP2015.

11 This slide summarizes the clinical
12 development program for GP2015 and key design
13 aspects of the clinical studies supporting the
14 application. The first three studies are
15 single-dose PK studies, 101, 102, and 104, and the
16 cross-study report 105 provided the data to
17 establish PK similarity between GP2015 and
18 US-licensed Enbrel and the PK component of the
19 scientific bridge to justify the relevance of the
20 clinical data from the comparative clinical
21 study 302, which was conducted with European Union
22 or EU-approved Enbrel as a comparator.

1 Study 302 had two treatment periods.
2 Treatment period 1, which is week 1 to week 12,
3 provided the primary comparative clinical safety,
4 efficacy and immunogenicity data between GP2015 and
5 EU-approved Enbrel.

6 Treatment period 2, which is week 12 to
7 week 30, also provided safety and immunogenicity
8 data in the setting of patients undergoing
9 transition from EU-Enbrel to GP2015 at week 12.
10 This information is relevant and important to
11 ensure that if approved as a biosimilar, GP2015
12 could be administered safely to patients who may
13 have been previously exposed to Enbrel.

14 As discussed by Dr. Leah Christl, an
15 applicant needs to provide information to
16 demonstrate biosimilarity based on a comparison
17 between the proposed biosimilar product with the
18 reference product. As noted in the previous slide,
19 the GP2015 comparative clinical study used a
20 non-US-licensed comparator, specifically
21 EU-approved Enbrel.

22 The FDA has determined that in cases like

1 this, the applicant must, as a scientific matter,
2 provide adequate data or information to
3 scientifically justify the relevance of these
4 comparative data to the assessment of biosimilarity
5 and establish an acceptable bridge to the
6 US-licensed reference product.

7 Consistent with this guidance, to justify
8 the relevance of the data generated using the
9 non-US-licensed Enbrel, Sandoz provided extensive
10 analytical bridging data that directly compared all
11 three products, and conducted three clinical PK
12 studies and one cross-study comparison to provide
13 the exposure bridging data between GP2015,
14 US-licensed Enbrel and EU-approved Enbrel in
15 healthy subjects.

16 The agency has also determined that it may
17 be appropriate for a biosimilar product to be
18 licensed for one or more additional indications for
19 which the reference product is licensed based on
20 extrapolation of data in the biosimilars program.
21 The justification for such extrapolation should
22 address issues like potential differences in

1 mechanism of action, PK and biodistribution,
2 immunogenicity, and safety for each indication.

3 Consistent with the principles outlined in
4 the FDA guidance documents and previously discussed
5 by Dr. Christl, the applicant provided scientific
6 justification for extrapolation of data to support
7 that there are no clinically meaningful differences
8 for the additional indications sought for
9 licensure.

10 Later this afternoon, we will be asking the
11 advisory committee's thoughts on the following
12 questions:

13 1) whether the evidence from analytical
14 studies supports a demonstration that GP2015 is
15 highly similar to the US-licensed Enbrel;

16 2) whether clinically meaningful differences
17 exist between GP2015 and US-licensed Enbrel in the
18 studied indication of plaque psoriasis; and

19 3) whether the totality of the data provides
20 adequate scientific justification to support a
21 demonstration of no clinically meaningful
22 differences between GP2015 and US-licensed Enbrel

1 for the additional indications for which U.S.
2 Enbrel is licensed and Sandoz is seeking licensure
3 of GP2015.

4 Following the discussion, the committee will
5 be asked to vote on one question, similar to
6 yesterday's advisory committee. The question is,
7 does the totality of the evidence support licensure
8 of GP2015 as a biosimilar to US-licensed Enbrel for
9 the following indications for which U.S. Enbrel is
10 licensed and for which Sandoz is seeking licensure:
11 rheumatoid arthritis, juvenile idiopathic
12 arthritis, ankylosing spondylitis, psoriatic
13 arthritis, and plaque psoriasis.

14 I would like to note that in light of the
15 nature of this advisory committee and discussion
16 topics, the agency has made every effort to invite
17 a panel with diverse expertise relevant to product
18 quality, clinical pharmacology, immunology,
19 biostatistics, and dermatology, in addition to the
20 standing arthritis advisory committee, which we
21 believe will foster a very productive discussion
22 today, similar to yesterday.

1 Thank you for your attention, and I will
2 turn the podium back to you, Dr. Solomon.

3 DR. SOLOMON: Are there any questions for
4 Dr. Nikolov?

5 (No response.)

6 DR. SOLOMON: Okay. Thank you.

7 Both the Food and Drug Administration and
8 the public believe in a transparent process for
9 information-gathering and decision-making. To
10 ensure such transparency at the advisory committee
11 meeting, FDA believes that it is important to
12 understand the context of an individual's
13 presentation.

14 For this reason, FDA encourages all
15 participants, including the applicant's
16 non-employee presenters, to advise the committee of
17 any financial relationships that they may have with
18 the applicant, such as consulting fees, travel
19 expenses, honoraria, and interest in a sponsor,
20 including equity interests and those based upon the
21 outcome of the meeting.

22 Likewise, FDA encourages you, at the

1 beginning of your presentation, to advise the
2 committee if you do not have any such financial
3 relationships. If you choose not to address this
4 issue of financial relationships at the beginning
5 of your presentation, it will not preclude you from
6 speaking. We will now proceed with Sandoz's
7 presentations.

8 **Applicant Presentation - Mark, McCamish**

9 DR. McCAMISH: Thank you, Dr. Solomon. It's
10 a privilege to be here today to observe you setting
11 history while you review two biosimilar
12 applications in a 48-hour period. If they are
13 approved, it will double the number of biosimilars
14 available to the U.S. population, which is really
15 one of our passions.

16 My name is Mark McCamish, and I am the
17 global head of development for Novartis biosimilar
18 activities that are located in Sandoz. I'm a
19 physician/scientist by training, had a traditional
20 academic practice for about a decade at the
21 University of California Davis and Ohio State
22 University, prior to transitioning into industry

1 for the past two decades.

2 The last decade, I focused on biosimilars as
3 I developed a passion for really addressing access
4 issues that we've run into. And I have to share
5 openly, and being here yesterday and seeing you
6 struggle with some of the concepts that are there,
7 I've had 10 years to learn the concepts. And I had
8 no clue about the importance of analytical
9 characterization to the product 10 years ago, and
10 it's really become a fascinating experience over
11 time to see the importance about that.

12 So I have modified my introductory
13 presentation to try to share with you some of the
14 learnings that I've had during this process because
15 I recognize some of the frustration you experienced
16 yesterday in trying to deal with some of these
17 concepts. Then I'll use that to introduce our
18 product, GP2015, as we move forward.

19 You've seen that the totality of evidence,
20 this topic we talked about, totality of evidence,
21 demonstrates that GP2015 is similar to the
22 US-licensed Enbrel. FDA and Sandoz briefing books

1 concluded this, that there's extensive analytical
2 data that demonstrates high similarity. That's the
3 regulatory term. It's also confirmed the relevance
4 of the EU and U.S. product.

5 This question came up yesterday. Is the
6 U.S. product biosimilar to the EU product? Is the
7 U.S. product interchangeable with the EU product?
8 What we're required as a sponsor is, by statute, to
9 compare to the U.S. reference product. However,
10 you recognize that we utilize a global program, and
11 therefore we utilize European as well as U.S.
12 reference product. It's up to us to show that
13 those products are essentially the same, and can be
14 used in a clinical trial.

15 What we've shown analytically, if you were
16 to purchase a pre-filled syringe of this product in
17 Europe and purchase it in the U.S., as long as you
18 didn't tell us the price, we would not be able to
19 tell you the difference between the products
20 analytically. They are indistinguishable
21 analytically. So that's what we're doing in terms
22 of bridging between the U.S. and the EU reference

1 product.

2 We've also used a clinical program, as
3 designed, as essentially a whole-body bioassay to
4 test whether our product is the same as the
5 reference product. And we demonstrated no
6 clinically meaningful differences, and that the
7 transition that FDA asked for, moving a patient
8 from the referenced product to GP2015, was not
9 associated with untoward events.

10 So this extensive package that we talked
11 about really addresses the scientific consideration
12 for extrapolation, and I'll talk about that in
13 terms of the data we have. It's this totality of
14 evidence that supports extrapolation, not any one
15 study, not any one component, but a total
16 evaluation of our molecule, GP2015, versus
17 etanercept, Enbrel, and showing that those are
18 highly similar. And if it's the same product,
19 essentially the same product, then you have
20 evidence that you can use that in all approved
21 indications, and the confirmatory clinical study
22 helps with that information as part of this

1 totality of the package.

2 Just as you were pioneering biosimilars in
3 the past 48 hours, Sandoz has been a pioneer in the
4 development of products for biosimilars. We've had
5 an extensive in-house biologic drug development
6 experience for over 30 years, and we've been
7 focused on biosimilars for the past 20 years.

8 This has led to multiple firsts. We were
9 the first ever biosimilar to be approved. That was
10 in Europe, 2006, rapidly followed by two additional
11 biosimilars. We were the first biosimilar to be
12 approved in Australia, first in Canada, first in
13 Japan, last year the first approved in the U.S.,
14 and to date the only biosimilar on the U.S. market.

15 Sandoz biosimilars are sold in more than 60
16 countries. We've generated 250 million patient
17 days experience with our biosimilars. We've
18 already proven that this has an impact on patient
19 access, which again is what drives us. So it's
20 this unmet medical need, our passion directed at
21 improving patient access, that's the foundation of
22 the development program at Sandoz.

1 Enbrel is a wonderful product. It's been
2 life changing. It's changed the practice of
3 medicine. The challenge is that many patients in
4 the U.S. still remain unable to access this easily,
5 and there are many barriers that have to be
6 negotiated to get access. So GP2015 is a proposed
7 biosimilar to Enbrel, and it's our desire that this
8 will expand patient access, provide competition,
9 and reduce the burden on the U.S. healthcare
10 system.

11 The proposed indications for GP2015 are
12 identical to those of U.S. Enbrel listed here: RA,
13 JIA, psoriatic arthritis, ankylosing spondylitis,
14 plaque psoriasis. We justify this, again getting
15 back to this extrapolation principle, based on
16 FDA's guidance, and also based on years of
17 experience that FDA's had in reviewing similar
18 types of information from manufacturing changes.

19 Now as you know, etanercept is a wonderfully
20 designed approach to capture TNF. So it's the
21 extracellular ligand-binding protein of the human
22 P75 receptor, bound to the crystallizable fraction

1 of an IgG1. And this is created basically as a
2 competitive inhibitor of soluble TNF alpha as it
3 binds to this fusion protein.

4 GP2015 will have comparable dosage forms to
5 Enbrel, a 25-milligram prefilled syringe, a
6 50-milligram prefilled syringe, and a 50-milligram
7 auto injector. It's to be used in the same
8 administration sub-Q once or twice a week depending
9 on the indication.

10 This slide shows that we have had patient
11 input here, how could we address patient needs,
12 bringing out basically the same product? One way
13 is in the device. So you can see in the prefilled
14 syringe on the left-hand side, we put in an
15 enlarged finger flange because patients with RA
16 have dexterity issues, and the larger finger flange
17 was very helpful in terms of their self-injection.

18 On the right-hand side, you see the auto
19 injector. And if you look to the lower right-hand
20 side, you'll see the shape. It's a triangular
21 shape. It's not a traditional circular shape. And
22 again, working with patients with RA, we found that

1 a different shape, a triangular shape, helps them
2 with the dexterity issues, as well as this 2-step
3 injection so that they don't have to move their
4 thumb from the circular part of an auto injector up
5 to the top to click to activate this. So again,
6 trying to meet the patients' needs.

7 The development of a biosimilar, as you have
8 learned, requires a paradigm shift. And this
9 paradigm shift will need to happen in the community
10 of all clinicians as well. On the left-hand side,
11 it's an upside down pyramid where the analytics at
12 the bottom for an original drug development
13 program, a novel drug, the analytics simply
14 describe the drug.

15 On the top part, the clinical aspects of
16 this program are what the physicians generally
17 focus on. How is it used? What's the indication?
18 What's the dosage? What are the adverse events,
19 et cetera? All defined by clinical trials, often
20 two clinical trials in each indication.

21 But the development of a biosimilar turns
22 the world upside down. With biosimilar

1 development, the analytical is the base of making
2 this judgment of high degree of similarity. Is
3 this product essentially the same?

4 The clinical, on top, is essentially a
5 whole-body bioassay to try to address that sameness
6 of the molecule. And this will be an ongoing
7 challenge for us in communicating to clinicians
8 this paradigm shift.

9 Now Sandoz had developed a 5-step approach
10 for development of a biosimilar. It starts with
11 target definition. I can't emphasize how important
12 this is, and I've got a slide to document this. We
13 have to understand the reference product. We have
14 to be an expert in the reference product. So we
15 need to understand the target molecule and its
16 variability over time.

17 As you see, and as you learned yesterday,
18 the reference product is not identical to itself
19 over time. So we have to understand what are the
20 differences in the reference product so that we can
21 map those differences, and then we have this
22 targeted, directed development program focused on

1 ensuring that our product is essentially the same,
2 falls within the variability of the reference
3 product.

4 Then the characterization that you've heard
5 about yesterday, and we'll go through today,
6 establishes that similarity based on not only
7 physical/chemical, but the biological and the
8 functional characteristics that these are
9 essentially the same.

10 The regulatory interactions, as Dr. Christl
11 mentioned, is an iterative approach, not only with
12 the U.S. FDA, but with EMA and other regulatory
13 authorities. So we interact with them, sharing
14 with them our information, and then we work with
15 them to design the confirmatory clinical trial.

16 Here we have a single clinical trial, which
17 is focused at confirming the sameness of this
18 molecule. And psoriasis is the most sensitive
19 indication whereby if there were changes,
20 differences, in the molecule, the psoriasis study
21 is the best way of picking up those differences.
22 So that's why we used it.

1 We're not experts in these therapeutic
2 areas. So we have to look at this. We get outside
3 experts to comment, but that's the approach we
4 take. So the totality of this data demonstrates
5 that sameness.

6 The extrapolation concept that you all were
7 talking about yesterday is for molecule to
8 molecule. It's our job, the sponsor's job, to
9 provide copious information that shows that our
10 molecule is essentially the same, proper regulatory
11 term, highly similar, to the reference product.

12 If our product, GP2015, is essentially the
13 same to Enbrel, it will work the same in these
14 indications. You're extrapolating from one
15 molecule to the other, not from a disease to a
16 disease.

17 Clinicians, you are all aware that there are
18 drugs that work in psoriasis that won't work in
19 rheumatoid arthritis, and there are drugs that work
20 in rheumatoid arthritis that won't work in
21 psoriasis. So to ask you, based on a single trial
22 in psoriasis, to extrapolate from that trial in

1 psoriasis to other indications, is not feasible,
2 because you know that in itself is not inherently
3 convincing.

4 However, if the psoriasis trial is the final
5 pinnacle of evidence that these molecules are the
6 same, then you're extrapolating from one indication
7 to the other because of the sameness of the
8 molecule.

9 This regulatory concept of sameness, let me
10 just take a minute to review. So regulatory
11 concept of sameness started in the middle '80s with
12 generics, and it's fairly straightforward to
13 understand because you can create an identical copy
14 of the generic. It is chemically synthesized.

15 When you prove that it's an identical copy,
16 then all you have to do clinically is show that the
17 formulation, whatever your formulation is, delivers
18 that identical molecule in the same way. Then it's
19 approved as identical, and you can extrapolate to
20 indications.

21 Now more recently there have been complex
22 generics that are a distribution of molecules.

1 Even with generics, with those complex ones, you
2 cannot say your molecule is identical to the
3 reference. You have to show that your distribution
4 is the same as the reference.

5 As we've gone to biologics, in the mid 90's,
6 there was an understanding that when you launch a
7 biologic, because of patient needs, the sponsor has
8 to scale up those manufacturing capabilities. To
9 scale it up, there are changes that happen with the
10 molecule. Now these are not huge changes. They
11 can be very, very minor changes, but they're
12 changes that you can pick up analytically. And
13 it's up to the reference sponsor to come to an
14 agency and say, okay, we scaled up this process,
15 here's the pre-manufacturing change process, here's
16 the post-manufacturing process, and all this
17 analytical data show that it's highly similar.

18 So comparability on the lower part of this
19 quadrant shows this highly similar definition,
20 highly similar quality attributes.

21 If you transition to biosimilarity, these
22 same concepts have evolved in terms of developing a

1 biosimilar. The difference is, it's a different
2 sponsor, a different manufacturer making the
3 product and having to prove through this same
4 context, this highly similar, that their product is
5 essentially the same.

6 Now, the regulatory term in the U.S. is
7 "highly similar." In the lower part of this last
8 quadrant, you can see how the European Medicine
9 Agency has defined it in their Q&A document to help
10 clinicians. They realized that highly similar and
11 biosimilar communicate to a physician there's
12 something different with this because you don't say
13 bioidentical, you don't say biogeneric, you say
14 biosimilar.

15 So they came up with this term, it says the
16 active substance is essentially the same biologic
17 substance, though there may be minor differences
18 due to their complex nature and manufacturing
19 process. So I'll be using the term "essentially
20 the same" to connote that's what we're trying to
21 do; we're trying to provide all of the information
22 from a regulatory perspective to show that this

1 molecule is highly similar, essentially the same,
2 and will function the same.

3 The next slide I'll talk about emphasizes
4 this comparability. This slide will talk about
5 what was done with Enbrel. So this is a focus on
6 Enbrel, not biosimilarity. This slide was taken
7 from a publication by Martin Schiestl, who will be
8 talking next about the analytical data. And we
9 noticed as we were developing the product over
10 years, that there was a shift in the product.

11 As Dr. Kozlowski mentioned yesterday, this
12 information is not publicly available in the U.S.
13 Sometimes it's available in Europe through a
14 European public assessment report, but analytically
15 we could pick up a difference in the molecule.

16 This shows the change in a G2F glycosylation
17 outlined in that little square at the right. We
18 could see that there was a shift between a
19 50 percent enrichment and a 30 percent enrichment.
20 Then, I had a publication a couple of years later
21 where we showed where those batches came from. So
22 the colors show U.S./EU batches, and you can see

1 that the European batches started out and they
2 shifted, and then the U.S. batches shifted.

3 We are in this post-modification period, so
4 the products we purchase are similar to the one
5 with a 30 percent enrichment. My point here is
6 that the process for showing that this product was
7 essentially the same, the sponsor brings the data
8 to the regulatory authority, here's what our
9 product was before, here's what it is after, and
10 provides all the analytical data, plus rationale
11 that this particular product change is not
12 clinically relevant.

13 This G2F is known to not be relevant to
14 immunogenicity, binding, aggregation, et cetera.
15 With that data, then the regulatory authority
16 approves the post-manufacturing change as the same.
17 Same label. In this case, no clinical trials, and
18 no clinical trials in all indications, because it's
19 the concept that the analytics will provide you
20 reassurance that the molecule performs in the same
21 way. Biosimilars, on the other hand, follow this
22 process, but by regulatory statutes are required to

1 do clinical trials that are there.

2 So this was used, same label, and you can
3 see during that period of 2010 and 2011, both
4 products on the market at the same time, same
5 label, you wouldn't know which one it was, but
6 there's judgment that they respond the same. And
7 that's a key thing to understand.

8 In moving this forward, the totality of data
9 is how we show extrapolation. If you show the
10 structural attributes are highly similar, biologic
11 functions are highly similar, non-clinical tox is
12 highly similar, human PK/PD bioequivalence,
13 psoriasis using it as a sensitive marker to do a
14 whole-body bioanalysis that this is the same
15 molecule, shows equivalent efficacy, that is the
16 totality of the data to show that the molecule is
17 essentially the same, and that helps in terms of
18 the extrapolation that scientifically justify to
19 the other indications.

20 As we walk through today, we'll be telling
21 you about the data in a similar fashion, the
22 analytics, the PK, the clinical, all focused on

1 this totality of data that then justifies that the
2 molecules are essentially the same, highly similar,
3 that extrapolation can be justified in doing this.

4 Martin Schiestl, who is a real expert in
5 this area, will walk you through the analytical.
6 We'll have Oliver von Richter walk you through the
7 non-clinical and PK. We'll have Malte Peters walk
8 you through the clinical confirmation with the
9 psoriasis trial. Then we have Dr. Jonathan Kay
10 that will give us some of his perspectives of the
11 use in practice. And then I'll conclude the
12 sponsor presentation and wrap it up in two slides.

13 Now I said we're not experts in this
14 therapeutic area, so we did bring Dr. Kay, who is a
15 professor of medicine at University of
16 Massachusetts, and Craig Leonardi, who is an
17 adjunct professor of dermatology, but really a
18 leader in the field for dermatology and psoriasis.
19 And he's been the steering committee and the head
20 of our steering committee for Sandoz for this
21 program. So they are available to ask and answer
22 questions as well.

1 With that, I'll transition on to Martin, and
2 I do thank you for your time.

3 **Applicant Presentation - Martin Schiestl**

4 DR. SCHIESTL: Thank you. I'm
5 Martin Schiestl working as the chief science
6 officer for Sandoz Biopharmaceuticals. And within
7 Sandoz, I have been now working for 20 years on the
8 development of these biosimilar products.

9 My presentation will focus on the analytical
10 piece of this pyramid, which sets the foundation
11 for demonstrating biosimilarity. It covers all the
12 structural and functional comparisons between
13 Enbrel and GP2015. And I will also briefly
14 describe how we developed our biosimilar,
15 introduced a molecule, and then share the
16 analytical results of our similarity assessment.

17 We systematically developed GP2015 to match
18 Enbrel. In the first step, we defined our target
19 by analyzing numerous batches of Enbrel to really
20 understand the molecule, its batch-to-batch
21 consistency, and its variability over time. This
22 variability defines the goalpost for our own

1 development program.

2 We also leveraged our understanding of how
3 different molecular attributes impact the clinical
4 safety and efficacy of the product, and we put
5 special attention to those attributes that we know
6 matter clinically. Then we developed the
7 manufacturing process to meet this target.

8 This requires multiple repetitions to
9 fine-tune each step in manufacturing, like the cell
10 line, the bioprocessing, the protein purification,
11 and the final drug product manufacturing. Finally,
12 once we had optimized the product, we tested it for
13 similarity at all levels.

14 Now the 2015 manufacturing process is
15 validated and designed to deliver the biosimilar
16 product consistently also in the long term. And
17 here you see the process scheme starting with the
18 cell bank vial on the left, and the bioprocess, the
19 subsequent protein purification which delivers the
20 drug substance, which is then formulated and filled
21 to the drug product syringes.

22 All of these steps are tightly controlled.

1 For example, incoming raw materials are specified
2 and tested. We also have controls implicit by the
3 process design. For example, the design of the
4 master cell line or the way we establish the
5 purification step to clear the product from certain
6 impurities.

7 We also control process parameters and test
8 in-process samples from the start to the end. And
9 we perform release testing not only of the final
10 syringes, but also of the drug substance and the
11 cell harvest. Finally, all of these controls are
12 embedded in our quality system, which is compliant
13 of good manufacturing practices and governed by our
14 quality assurance, and it's also inspected by FDA
15 in regular intervals.

16 This control system is a state of the art
17 and fulfills all the regulatory requirements for
18 reproducible manufacturing so that every
19 manufactured batch, also batches which are produced
20 in the future, have the same clinical properties.

21 Now turning to the molecule. Here is again
22 the structure of this etanercept, the active

1 ingredient in GP2015, and this is produced using a
2 Chinese hamster ovary cell line. It's well
3 characterized and manufactured to match the
4 structure of Enbrel. It's a dimeric fusion protein
5 consisting of the human TNF receptor, which is
6 linked to the Fc part of an antibody, and it has
7 multiple glycosylation sites and disulfide bonds.

8 When we developed GP2015, we optimized more
9 than 40 molecular attributes, or quality attributes
10 as it is the regulatory term which we used to match
11 Enbrel. They start with the amino acid sequence,
12 which basically defines the molecule.

13 Here it is a clear regulatory requirement
14 that for a biosimilar, the amino acid sequence
15 needs to be identical to the reference product. If
16 there is even one out of the more than 900 amino
17 acids in Enbrel which is not the same, the product
18 wouldn't be approved as a biosimilar product.

19 Next is the higher order of 3-dimensional
20 structure, which also needs to be the same in order
21 to illicit the same biological functions. Then we
22 looked at all the protein modifications, like

1 glycosylation and other protein variants. We then
2 looked at impurities like aggregates and fragments,
3 and we also looked then at all the biological
4 functions.

5 So all together we have conducted tens of
6 thousands of measurements over the past couple of
7 years, but for timing reasons, we will focus only
8 on those data today, which are most important for
9 the biosimilarity assessment.

10 But given those many attributes, how do we
11 know which of them matter clinically? To answer
12 this question, we used a systematic risk
13 assessment, an approach which is also now standard
14 in the biopharma industry and also regulatory
15 expectation by the FDA.

16 We looked at the more than 40 molecular
17 attributes plus those which were related to the
18 process materials and to the excipients. For each
19 of those attributes, we assessed the impact with
20 regard to immunogenicity, safety, pharmacokinetics,
21 and efficacy, and used all this existing product
22 knowledge from literature, also our in-house

1 studies and from related molecules, to end up with
2 a criticality ranking of all attributes from the
3 important ones, with a very high
4 criticality -- you'll see them here in red at the
5 top -- down to those which have a very low
6 criticality, shown here in green.

7 In this table, you see how many attributes
8 of GP2015 fall into each criticality category, and
9 on the right you see some examples of these
10 attributes. By using this information, we then
11 optimized our manufacturing to focus most of our
12 attention on the attributes in the red and orange
13 boxes, but certainly we also took care of the
14 others as well.

15 To provide you now with a closer look, in
16 the top two rows, I've marked some of the highly
17 critical attributes, like TNF alpha neutralization
18 and the higher order structure, which are important
19 for etanercept. In a moment I will show you also
20 the comparative data we used to assess the
21 similarity of these attributes between Enbrel and
22 GP2015.

1 In order to analyze such complex molecules,
2 we need powerful analytical tools which have become
3 available in recent years. Here you see just as an
4 example the mass spectrometry and how this evolved
5 in 20 years since 1990. Within this time span, the
6 detection limit has increased by a factor of
7 10 million, so it improved from 100 picamoles down
8 to 10 attomoles.

9 To put this in context, imagine that in 1990
10 they were able to detect a certain amount of
11 protein in one glass of water, and today we could
12 detect the same amount of protein in an Olympic
13 size swimming pool. And it's this evolving
14 technology which greatly improved our ability to
15 characterize proteins and which allows us today to
16 develop biosimilars to such complex molecules like
17 etanercept.

18 Now I'd like to turn to our analytical
19 comparative data package, and the database used to
20 determine biosimilarity is huge. We analyzed more
21 than 80 batches of Enbrel bought over several years
22 in Europe and in U.S., and compared them with

1 GP2015. And as Mark pointed, out, we also compared
2 the Enbrel batches sourced in both regions to
3 determine their similarity to each other, and
4 determined that these products are really the same,
5 because in our global program we used both Enbrel
6 U.S. and Enbrel EU in our clinical studies.

7 Here you see the table I will use to guide
8 you through our data. It contains those attributes
9 which are most important to demonstrate biosimilar
10 for this molecule, and I will provide examples of
11 each. In addition, the table also includes
12 stability behavior, which means how stable the drug
13 is over time. This is an additional element for
14 the similarity assessment.

15 Now first let's consider the primary
16 structure, which is the linear sequence of the
17 amino acids. As I mentioned before, this needs to
18 be identical for a biosimilar. The amino acid
19 chains fold then to the higher order of
20 3-dimensional structure, and it's actually the
21 folded protein which interacts with the TNF, like a
22 key that fits precisely into its keyhole. This

1 folded protein is responsible for the biological
2 function. So the trick of the same functions is
3 the higher order structure also needs to be
4 essentially the same.

5 We matched the primary structure using
6 peptide maps. And to conduct this assessment, the
7 molecule is cut into different pieces by its
8 specific enzyme, and the resulting fragments are
9 separated by chromatography. Here you see the
10 chromatograms for GP2015, Enbrel U.S. and
11 Enbrel EU, which all show a very nice match to each
12 other.

13 But we didn't just compare those peak
14 patterns visually. We also analyzed and sequenced
15 each peak you see here by using mass spectrometry,
16 and by this we got the exact amino acid sequence.
17 And by doing this with four different enzymes, we
18 generated overlapping peptide maps. So they
19 overlap from the amino acid sequence, so they
20 covered the complete sequence of this molecule. So
21 we were able to experimentally confirm the
22 100 percent identity of the amino acid sequence

1 between GP2015 and Enbrel.

2 Now to test similarity of the higher order
3 structure, we looked at the molecule from several
4 different angles and using a panel of different
5 methods, and the collective results from all of
6 these tests provide a comprehensive picture of the
7 folding overall.

8 One of these methods is FTIR spectroscopy,
9 and here is an overlay from the FTIR spectra from
10 different batches of Enbrel and GP2015. What
11 appears here is one single curve is in fact an
12 overlay of 14 batches. You see here in this
13 method, the spectra are indistinguishable between
14 Enbrel and GP2015.

15 We also crystallized the receptor portions
16 of Enbrel and GP2015 bound to TNF alpha and
17 measured their folding using x-ray crystallography.
18 And here we have a video which shows the result of
19 these measurements. On the left it's Enbrel bound
20 to TNF, and the on the other side is GP2015.

21 An x-ray has the advantage of allowing us to
22 measure the folding down to the atomic level. As

1 you can see, there is a perfect overlap of the 3D
2 structures between the two molecules bound to TNF.

3 Also all the other methods here applied,
4 like hydrogen/deuterium exchange, circular
5 dichroism and NMR, differential scanning
6 calorimetry, also showed indistinguishable higher
7 order structure. It was not surprisingly both
8 Enbrel U.S. and Enbrel EU also showed the same
9 results.

10 Now let's turn to the functional properties.
11 This slide illustrates the clinical mode of action.
12 TNF binds to its receptor on the cell membranes,
13 which induces all the downstream effects that are
14 important for the information. Etanercept binds
15 and neutralizes the soluble TNF so that it cannot
16 bind to the TNF receptor anymore, and the
17 downstream effects are blocked. This is the same
18 mode of action for all indications of this product.

19 We are measuring this neutralization of
20 TNF alpha using a cell-based bioassay, which mimics
21 the mode of action. When TNF binds to the receptor
22 on the cells in this bioassay system, it also

1 stimulates a gene expression.

2 Etanercept neutralizes the TNF, which leads
3 to a dose-dependent suppression of this activity.
4 So by this we were able to measure very sensitively
5 and quantitatively the bioactivity for this
6 molecule.

7 Here you see the results. The red circles
8 at the top show the distribution of the bioactivity
9 of Enbrel EU, and each point is the value of one
10 batch. The white circles show the values for
11 Enbrel U.S., and blue shows GP2015.

12 As you can see, the bioactivity of the
13 different GP2015 batches lies fully within the
14 range of Enbrel U.S. and EU, so the criterion for
15 similarity is fully met. In addition, we also see
16 that we are producing GP2015 with a very high
17 degree of consistency.

18 Now, here you see the same results as on the
19 slide before, but also how those results are
20 distributed over time. Here on the left, these are
21 the batches and the values for Enbrel U.S. and
22 Enbrel EU, so sorted by their expiration date. And

1 each of those batches was sold from the market, so
2 therefore each of those batches represent
3 acceptable quality or Enbrel quality, which has
4 been used to treat patients.

5 We identified the range of variability in
6 TNF alpha neutralization of Enbrel to be between 76
7 and 118 percent neutralizing activity. GP2015, as
8 you see here, falls neatly within this range.

9 Now, the FDA asked us also to do statistical
10 equivalence testing, which is a comparison of the
11 means. But you'll notice that when we looked at
12 some of the newer batches of Enbrel, we see more of
13 those at the lower end of the scale. So they were
14 still within the overall range, but this changed
15 the mean over time. So therefore, GP2015 was
16 statistically equivalent to Enbrel batches only
17 until to an expiration date of 2014, but not if you
18 included the newer batches.

19 Now, as Dr. Leah Christl pointed out, this
20 statistical equivalence testing is not intended as
21 a pass/fail criteria for biosimilarity. It's
22 intended to facilitate a biosimilarity assessment.

1 Also if you look at the data, everything is
2 perfectly fine with GP2015 because we can produce
3 very stable with very small variability, but
4 certainly it's a duty of a biosimilar manufacturer
5 to really understand the reference product, so we
6 took a closer look at this phenomenon, and on the
7 next slides we show the results of this.

8 When we looked at the factors that determine
9 the bioactivity, we found that this was related to
10 the disulfide bond structure of the molecule.
11 Those disulfide bonds, they connect to cysteines in
12 a sequence, and by this they lock the 3-dimensional
13 structure of the molecule.

14 Here on the left you see an illustration of
15 a portion of the etanercept structure with the
16 correct disulfide bonding, and this form is fully
17 biologically active. However, the etanercept
18 contains also low level of impurities, which have
19 incorrect disulfide bonding. On the right, you see
20 an example of the same sequence with such an
21 incorrect disulfide bond variant, which we have
22 found and determined in etanercept. And this leads

1 to an alt protein folding, and in this form the
2 molecule is not able to induce neutralizing
3 activity anymore.

4 The amount of incorrect disulfide bond
5 variants correlates with the TNF alpha
6 neutralization activity. We measured different
7 batches of Enbrel, GP2015, process intermediates,
8 and also waste fractions from our process
9 development with the higher amounts of these
10 impurities and found this very clear structure
11 functional relationship.

12 The newer batches of Enbrel had more of
13 those incorrect disulfide bond variants, which
14 explains the lower TNF alpha neutralization
15 activity. On the other side you see a consistently
16 low level of these impurities in GP2015 because we
17 tightly control for them in our manufacturing
18 process.

19 Now the next question was, what is the
20 relevance of these incorrect disulfide bond
21 variants? And what we found is that they have no
22 physiological impact. This is because while they

1 are detectable in vitro, under physiological
2 conditions, they refold back into the fully active
3 structure.

4 How do we know this? We incubated Enbrel
5 samples in a system that is designed to mimic the
6 physiological conditions that occur when the
7 molecule is injected into patients. Upon
8 injection, the molecule is exposed to chemical
9 conditions in the bloodstream that allow the
10 opening and reconnecting of disulfide bonds, or in
11 chemical terms, this is called reduction and
12 oxidation.

13 We used a well-established redox system to
14 mimic these conditions -- the redox potentially in
15 the in vivo is very low, but it's still suitable
16 and able to open and reconnect labeled disulfide
17 bonds. What we saw is that the incorrect disulfide
18 bonds variants reverted back to the most ever
19 correct folding. When they revert back, the TNF
20 alpha neutralization is fully restored.

21 We performed these redox experiments on a
22 number of samples, and here is, just as an example,

1 two batches of Enbrel U.S. that we studied. When
2 incubated under simulated physiological conditions,
3 the amount of the incorrect disulfide bond variants
4 is reduced, and the neutralization activity is
5 restored; in this case, from approximately 80 to
6 close 100 percent. This means that when Enbrel is
7 applied and injected, the incorrect disulfide bonds
8 variants can quickly fold back to the fully active
9 molecule.

10 All of our redox experiments validated this
11 structure functional relationship you see here
12 again, and therefore we could use this model also
13 to adjust for the TNF alpha activity for all
14 batches. And when we did this, we were also able
15 to fulfill the formal statistical equivalence
16 testing criteria as shown here.

17 This figure shows that the difference of the
18 means, including the 90 percent confidence
19 interval, lies within the equivalence acceptance
20 criteria. This is consistent also with the FDA's
21 own evaluation, which is displayed in the FDA
22 briefing document.

1 We have shown that GP2015 is not just within
2 the range of variability; it's also statistically
3 equivalent to the Enbrel in terms of TNF alpha
4 neutralization. But in addition, we also checked
5 for a number of other functions related to the
6 receptor portion of etanercept, so the functional
7 part of the molecule that is relevant for the
8 clinical mode of action.

9 These are TNF alpha binding, TNF beta
10 neutralization, and inhibition of TNF alpha
11 mediated to apoptosis, and for all of these
12 functions, we found that GP2015 is highly similar
13 to Enbrel. These results are described in greater
14 detail in the briefing documents.

15 Another important attribute is the protein
16 content as it defines how much etanercept is given
17 to the patient. Here again you see the data for
18 Enbrel EU, Enbrel U.S., and GP2015. From the very
19 characteristic impurity profiles I'll be showing
20 you later, we also can conclude this bridge and to
21 show that Enbrel U.S. and Enbrel EU are, in fact,
22 the same product. We can compare GP2105 with the

1 combined ranges of Enbrel EU and U.S., and GP2015
2 lies fully within this range. This confirms the
3 similarity in protein content.

4 Binding to the FcRN receptor is another
5 important feature of this molecule as it has an
6 impact on clearance, the in vivo half-life, and
7 therefore also the pharmacokinetics. Here you see
8 the KD value of this, which is a measure of the
9 binding of the different batches. These show that
10 the FcRN binding properties are comparable between
11 GP2015, Enbrel sourced in the U.S., and Enbrel
12 sourced in the EU.

13 Now let's take a look at the product related
14 impurities which should be kept as low as possible.
15 These include those variants of incorrect disulfide
16 bonds, which I mentioned earlier; the alpha
17 galactosylation, which is a risk factor for
18 immunogenicity; clipped degradation products, which
19 have a lower bioactivity; and aggregates, which are
20 considered as a risk factor for immunogenicity.

21 Here on the left are the data for the
22 amounts of alpha galactosylation for the different

1 batches. The filled red dots are Enbrel EU, the
2 non-filled ones are Enbrel U.S., and blue is
3 GP2015. On the right side you see the amounts for
4 the aggregates, and you can see two things.

5 First, the values for GP2015 are nicely
6 below the upper limit of Enbrel, so this is the
7 criteria also for biosimilarity. Second, the data
8 clearly demonstrate also the sameness of Enbrel EU
9 and Enbrel U.S.

10 As noted in our briefing document, we
11 observed also the same conclusions and the same
12 results for the degradation products and incorrect
13 disulfide bond variants, so this means the
14 criterion for biosimilarity is clearly met for
15 these quality attributes.

16 Finally, we compared the stability profiles,
17 both at intended storage conditions, such as
18 storage in a refrigerator, and in accelerated and
19 stressed conditions, such as at high temperatures.
20 Here you see the data for intended conditions and
21 how the low molecular weights species -- so these
22 are degradation products -- increase over time for

1 different batches of GP2015 and Enbrel.

2 These data are important because the
3 formation of those clipped variants is the primary
4 degradation pathway for etanercept when stored in
5 the fridge. In other words, this is what limits
6 the shelf life or expiration date of this product.
7 And here you can see the slopes are pretty
8 comparable, as should be in the case for a
9 biosimilar.

10 To conclude the analytical presentation, we
11 have confirmed the very high degree of similarity
12 between GP2015 and Enbrel. The primary structure
13 is 100 percent identical; the higher order
14 structure is indistinguishable; the bioactivity is
15 the same; the product related impurities are
16 similar and low, and the stability behavior is
17 comparable. In addition, we have shown that Enbrel
18 U.S. and Enbrel EU are indistinguishable.

19 Given these data, we have demonstrated that
20 the active ingredient in GP2015 and Enbrel is
21 essentially the same molecule. This leaves then
22 very little uncertainty to be addressed by the

1 non-clinical and the clinical data package. So
2 with this, I would like to turn over the podium to
3 my colleague, Oliver von Richter, to present the
4 non-clinical and PK data. Oliver?

5 **Applicant Presentation - Oliver von Richter**

6 DR. VON RICHTER: Thank you, Martin.

7 Good morning. I am Oliver von Richter. I'm
8 a clinical pharmacologist at Sandoz with more than
9 15 years of experience in non-clinical and early
10 clinical development. It is my great pleasure to
11 guide you through our next component of our
12 biosimilar development program, namely the
13 non-clinical and pharmacokinetic characterization
14 of GP2015 and comparison to Enbrel.

15 I would like to start with a non-clinical
16 program. Here we have to keep in mind that the
17 scope and extent of animal studies in a biosimilar
18 development program are different than for the
19 development of an originator. It is not about
20 evaluating the safety profile of a new molecule,
21 but about determining similarity and addressing
22 residual uncertainty following the analytical

1 comparability studies that Dr. Schiestl has just
2 reviewed.

3 For GP2015, there was very little
4 uncertainty about the similarity of the molecules
5 given the highly similar analytical data. The
6 non-clinical program comprised assessments of
7 pharmacodynamics, pharmacokinetics, and toxicity,
8 including immunogenicity and local tolerance.

9 In addition, since we had to use a different
10 formulation for GP2015 than Enbrel, due to
11 intellectual property restrictions, the selection
12 of the most appropriate formulation was supported
13 by an animal PK study.

14 We'll now provide you with an overview of
15 the animal studies we conducted. The detailed
16 results were provided in our briefing document. In
17 terms of pharmacodynamics, we used a human
18 TNF alpha transgenic mouse model, which is a
19 well-established model to assess and compare the
20 efficacy of our treatments.

21 Based on pilot study 004, we selected a dose
22 of 10 milligram per kilogram administered IP. It's

1 the most sensitive setting for the comparator
2 study 007. In this study, both GP2015 and Enbrel
3 elicited a similar response in inhibiting arthritis
4 disease progression.

5 Regarding pharmacokinetics, we performed two
6 different studies in rabbits. In the first PK
7 study we looked at different formulations for
8 GP2015 in comparison with Enbrel. The formulation
9 selected, based on this pilot study, was a
10 lysine/citrate formulation which then showed a
11 similar PK profile to Enbrel in the comparative PK
12 study, the 006 study. Toxicology was assessed in
13 cynomolgus monkeys using repeated dosing over
14 4 weeks. The observed science was similar in both
15 treatment groups.

16 Given the similar profile of GP2015 and
17 Enbrel demonstrated in the non-clinical studies, I
18 would like to move on to the pharmacokinetic
19 characterization of GP2015 in humans. This was
20 mainly based on PK bioequivalent studies in healthy
21 volunteers and supplemented with supportive PK data
22 in psoriasis patients.

1 Our PK data comes from five studies,
2 including the pivotal PK study 102. It is a
3 randomized, double-blind, two-way crossover study,
4 which compared GP2015 to US-licensed Enbrel in
5 57 healthy volunteers. There are two more PK
6 studies in healthy volunteers using European
7 authorized, referred to as Enbrel EU, as the
8 comparator product.

9 Study 101 is a sister study to 102 and was
10 used to support the scientific bridge between the
11 U.S. and the European reference product in a
12 cross-study comparison. In study 101, the lower
13 bound of the 90 percent confidence interval for AUC
14 was outside the bioequivalence limits. Following a
15 thorough root cause analysis and consultation with
16 European regulators, we conducted study 104 to
17 confirm bioequivalence of GP2015 to Enbrel EU.

18 In addition, we have a number of supportive
19 PK studies. Study 103 assessed bioequivalence
20 between the two proposed delivery devices: the
21 auto injector and the pre-filled syringe. Then
22 finally, we also collected PK trough data over

1 12 weeks in 147 psoriasis patients. You will see
2 more details about this study later.

3 I will now focus on the studies that are
4 pertinent to the U.S. filing. All of our PK
5 studies in healthy volunteers shared a common
6 design over crossover studies, meaning that we
7 compared different products within individual
8 subjects.

9 Single doses were applied on day zero of
10 each period and subjects stayed in the clinic for 3
11 to 8 days, depending on the study. The study also
12 included an adequate washout period between the two
13 treatment periods of at least 35 days. That is
14 approximately 9 times the elimination half-life of
15 etanercept, prior to crossing over to the other
16 product.

17 This slide depicts the subjects' disposition
18 in our healthy volunteer studies. Overall, a total
19 of 216 healthy subjects were enrolled, and only
20 8 subjects, that is 3.7 percent, withdrawn from the
21 studies. Out of 216 healthy subjects, only 1
22 subject had to be excluded from the PK population

1 in study 103 due to high pre-dose values in the
2 second treatment period. This shows that the
3 length of the washout period was adequate.

4 Now let's look at our pivotal study 102,
5 where we compared GP2015 with US-licensed Enbrel,
6 referred to as Enbrel U.S. The primary objective
7 of the study was to determine the bioequivalence
8 between GP2015 and Enbrel U.S. in terms of the PK
9 parameters, AUC-last and the maximum serum
10 concentration Cmax, following a single subcutaneous
11 injection of 50 milligram.

12 The secondary objectives were the additional
13 standard PK parameters as well as immunogenicity,
14 safety, and local tolerance. The data on GP2015
15 immunogenicity will be discussed in the next
16 presentation.

17 Here we see the time course of the mean
18 serum concentrations following the single-dose
19 administration of both products, up to 18 days
20 after the subcutaneous administration. The
21 profiles of GP2015 and Enbrel U.S. were similar.

22 The statistical evaluation of study 102

1 demonstrates bioequivalence between GP2015 and
2 Enbrel U.S. On the left, we see the geometric
3 means for the respective PK parameters. On the
4 right, we see the graphical display of the
5 corresponding point estimates, namely the geometric
6 mean ratios for GP2015 over Enbrel, along with
7 90 percent confidence intervals, which are depicted
8 as horizontal bars.

9 The dashed vertical lines represent the
10 acceptance range for bioequivalence, ranging from
11 0.8 to 1.25, as defined by the FDA in their
12 guidance on bioequivalence testing. If we look at
13 the point estimates and the 90 percent confidence
14 intervals, we see that these are well contained
15 within the prespecified bioequivalence margins of
16 0.8 to 1.25.

17 I would now like to address how the PK data
18 from studies 101 and 102 were used to support the
19 scientific bridge between Enbrel U.S. and Enbrel
20 EU. The reason why we look at the scientific
21 bridge is that we used Enbrel EU and the comparator
22 studies of our non-clinical program, and in the

1 confirmatory efficacy and safety study in psoriasis
2 patients.

3 The scientific bridge between the U.S. and
4 EU batches makes those data applicable for our U.S.
5 application. The bridge is built primarily on the
6 extensive analytical and biological
7 characterization, which was presented earlier by
8 Dr. Schiestl. You will recall that it showed the
9 two Enbrel products are essentially the same.

10 The PK bridge, presented in report 105, is
11 based on this cross-study comparison of Enbrel EU
12 data taken from study 101, with Enbrel U.S. data
13 taken from study 102. Both study protocols
14 included a prespecified comparison of those PK
15 parameters between Enbrel U.S. and Enbrel EU to
16 establish bioequivalence between these two
17 products. Both studies were identical in their
18 design and were run back to back at the same site.

19 Here we see the respective mean serum
20 concentrations. You see a slightly lower exposure
21 with the European reference product. If we look at
22 the point estimates and the 90 percent confidence

1 intervals, we see that these data are completely
2 contained within the prespecified bioequivalence
3 margins of 0.8 to 1.25. These data, in addition to
4 the extensive analytical comparison, further
5 support the scientific bridge to prove the
6 similarity between Enbrel EU and Enbrel U.S. As a
7 result, all data generated Enbrel EU as the
8 reference product are justified for U.S. filing.

9 Now let's look more closely at study 103.
10 This was the healthy volunteer trial designed to
11 test the bioequivalence of GP2015 administered with
12 the pre-filled syringe and the auto injector, based
13 on AUC-last, AUC-infinity, and the maximum serum
14 concentration Cmax.

15 The secondary objectives which were defined
16 based on interactions with the FDA, were to compare
17 these parameters within a population with a wide
18 range of body weights, and showing that the two
19 devices will not differ in the delivery depending
20 on the body weight of a patient. In addition to
21 the PK parameters, we also looked at safety,
22 tolerability, and local tolerance.

1 If we look at the mean serum concentrations
2 over time following administration with either
3 device, you see two superimposable curves. This
4 shows that the delivery of GP2015 from the two
5 devices is indeed the same.

6 Here is the statistical analysis of those
7 data. All point estimates are close to 1.0, and we
8 have tight confidence intervals which are clearly
9 contained within the predefined margins of 0.8 to
10 1.25.

11 In addition to the PK assessment in healthy
12 volunteers, we also evaluated serum trough levels
13 in the context of the confirmatory efficacy and
14 safety study comparing GP2015 and Enbrel in
15 psoriasis patients. We implemented a PK substudy
16 in 147 of these patients where we compared their
17 trough serum concentrations over 12 weeks.

18 We collected blood samples at day 1 prior to
19 dosing, and then sampled trough levels at weeks 2,
20 4, 8, and 12. All of these assessments were done
21 descriptively.

22 When we look at the time course of the mean

1 trough concentrations, we see that the GP2015 and
2 Enbrel levels, as well as their variability, were
3 similar across both treatment groups. It is
4 important to note that patients were treated twice
5 weekly, and that the trough levels at week 2 are
6 based on multiple, namely 3, administered doses.
7 Therefore, you see essentially what would be
8 expected. Steady state trough levels are reached
9 at week 2, and they remain constant until the end
10 of the PK observation period at week 12.

11 This concludes the presentation of the PK
12 results we have generated in the GP2015 development
13 program. We have shown that GP2015 is
14 bioequivalent to Enbrel in the healthy volunteer
15 studies, and that the pre-filled syringe and the
16 auto injector are equally suitable for
17 administering GP2015.

18 Enbrel U.S. and Enbrel EU are one Enbrel
19 from an analytical and PK perspective. The PK
20 substudy in psoriasis patients has shown similar
21 trough serum concentration in both groups. So
22 overall, the PK assessment contribute to the

1 totality of the evidence supporting biosimilarity.

2 In summary, with all the data that I have
3 presented, you see that we have addressed two more
4 levels in the pyramid. At the non-clinical level,
5 we have established a similar PD and PK, as well as
6 toxicity. And at the human PK level, we have shown
7 similar PK of GP2015 versus Enbrel in healthy
8 volunteers and psoriasis patients.

9 Now to complete our analysis, I would like
10 to invite my colleague, Dr. Malte Peters, to guide
11 you through our confirmatory efficacy and safety
12 study.

13 **Applicant Presentation - Malte Peters**

14 DR. PETERS: Thank you, Oliver.

15 Good morning. My name is Malte Peters. I'm
16 the global head of clinical development in Sandoz's
17 biopharmaceuticals business unit. I am a physician
18 scientist by training, and I treated patients with
19 immune disorders and cancer during my academic
20 appointments.

21 Today I'm going to show you the clinical
22 confirmation data of GP2015 equivalence to Enbrel.

1 I will provide you with an overview of our GP2015
2 program, will explain the design of our
3 confirmatory safety and efficacy study, which is
4 termed GP15-302. Of course, I will show you the
5 efficacy, safety, and immunogenicity results, and I
6 will provide you with some summary and concluding
7 remarks.

8 The clinical confirmation represents the tip
9 of the pyramid, which you have seen so many times
10 today. The pyramid is our attempt to graphically
11 display the totality of the evidence concept, which
12 has been introduced by Dr. McCamish to you earlier
13 today. It's important to remember that all four
14 parameters listed here, the analytical,
15 non-clinical, pharmacokinetic, and clinical
16 datasets, are equally important to corroborate the
17 totality of evidence concept.

18 I will focus now, in the next couple of
19 minutes, on our confirmatory efficacy and safety
20 study, which has been performed in patients with
21 plaque type psoriasis. In this study, GP2015 was
22 compared to the EU-approved version of Enbrel. 531

1 patients were randomized, the study duration was
2 52 weeks, and a dose of 50 milligram twice weekly
3 was used for the first 12 weeks of treatment, and
4 50 milligrams weekly thereafter. The compounds
5 were administered in a subcutaneous fashion.

6 Tumor necrosis factor alpha is in the center
7 of a pathophysiological cascade, which was
8 pertinent to all of the indications that are listed
9 on this slide for which Enbrel is approved.

10 Downstream signaling of tumor necrosis factor alpha
11 includes invasion of inflammatory cells, which in
12 turn lead to increase in concentration of
13 chemokines and cytokines, amongst which is also
14 tumor necrosis factor alpha. That's why the
15 blockade of tumor necrosis factor alpha is essential
16 to interrupt this vicious circle.

17 Why have we conducted our study in
18 psoriasis? Psoriasis represents the most sensitive
19 indication to detect potential differences in
20 efficacy and safety between GP2015 and Enbrel, and
21 there are three important considerations regarding
22 this point.

1 First of all, there's an adequately large
2 effect size in psoriasis. Secondly, Enbrel is used
3 as monotherapy in psoriasis, which reduces
4 confounding factors, for example coming from
5 immunosuppressive therapy such as methotrexate
6 treatment.

7 Lastly, the dose of 50 milligram, which is
8 used in psoriasis, lies in the linear phase of the
9 dose response curve. That's important because it
10 increases the probability of detecting differences
11 between the proposed biosimilar, in this case
12 GP2015, and Enbrel, should these exist. And
13 lastly, of course, well known FDA-approved Enbrel
14 for adult patients with psoriasis in 2004.

15 The study objectives of our study GP15-302
16 are listed here. First, we wanted to demonstrate
17 equivalence and efficacy, and similarity in the
18 safety profiles of GP2015 and Enbrel in patients
19 with psoriasis.

20 Secondly, we wanted to compare long-term
21 efficacy, safety, and immunogenicity in patients
22 who received continued treatment with GP2015 or

1 Enbrel.

2 Thirdly, we wanted to evaluate the effect of
3 repeated switching between GP2015 and Enbrel on
4 efficacy, safety, and immunogenicity. And Dr. von
5 Richter has already presented to you the
6 pharmacokinetic results pertinent to the fourth
7 objective with respect to PK parameters.

8 Patients with moderate to severe form of
9 psoriasis were eligible for our trial. In our
10 trial, we defined moderate or severe form of
11 psoriasis by a PASI score of at least 10, and an
12 IGA score of at least 3, and a body surface area
13 affected of at least 10 percent.

14 Patients had to have previous photo therapy,
15 or systemic therapy, for psoriasis, or had to be
16 candidates for such a therapy in the eyes of the
17 investigator. Patients could not participate in
18 our study if they had other forms of psoriasis than
19 plaque type.

20 Certain medications, certain
21 immunomodulatory medications for psoriasis and
22 other diseases, were prohibited. Previous exposure

1 to etanercept was not allowed. Patients could not
2 have active ongoing inflammatory diseases other
3 than psoriasis, nor a history of ongoing, chronic
4 or recurrent infectious diseases, including
5 tuberculosis.

6 We selected a novel study design with
7 multiple treatment periods in order to achieve the
8 objectives of our study. There were four treatment
9 periods: a screening period; treatment period 1;
10 treatment period 2; and an extension period. The
11 extension period has been included based on
12 discussions with European health authorities.

13 At the time of the submission of our file to
14 FDA, this data was not mature. Therefore, in
15 today's presentation, I will focus exclusively on
16 treatment period 1 and treatment period 2. At the
17 end of treatment period 1, our primary endpoint was
18 assessed, which was PASI 75 score, and I will come
19 back to this in a moment.

20 Now let's focus on treatment period 1. This
21 treatment period ranged between week zero and
22 week 12. During this treatment period, patients

1 were randomized in a one-to-one fashion between
2 GP2015 and Enbrel. The objective of this treatment
3 period was to demonstrate equivalence in efficacy
4 and similarity in the safety and immunogenicity
5 profiles of GP2015 and Enbrel in patients with
6 psoriasis.

7 At the end of treatment period 1, patients
8 were assessed for the PASI score and could be
9 re-randomized into treatment period 2 provided they
10 had a PASI score of at least 50.

11 In treatment period 2, there were 4
12 treatment arms, consisting of either continuous
13 treatment with GP2015, designated by the continuous
14 blue line, or continuous treatment with Enbrel,
15 designated by the continuous red line. Patients
16 could also be randomized into arms where they
17 underwent switched treatments between GP2015 and
18 Enbrel, as shown by the two arrows in the middle
19 with the alternating colors.

20 This treatment period ranged between week 12
21 and week 30, and there were two objectives during
22 this treatment period. First, to compare efficacy,

1 safety and immunogenicity between the patients
2 randomized to the continued treatment arms shown at
3 the top and the bottom of this diagram. The second
4 objective was to compare continued treatments with
5 treatments consisting of repeated switches between
6 GP2015 and Enbrel.

7 The statistical requirements for our study
8 was based on scientific considerations based on
9 published data and the literature. The primary
10 endpoint was considered to be met if the 95 percent
11 confidence interval for difference between
12 treatment groups and PASI 75 at week 12 fell within
13 the prespecified equivalence margin of 18 percent.
14 A 90 percent power assumption was used for the
15 sample size calculation.

16 The key secondary endpoints were considered
17 to be met if the longitudinal analysis of the
18 percent change of PASI score from baseline to
19 week 12 fell within the prespecified equivalence
20 margin of 15 percent, and we used two different
21 statistical approaches, and I will come back to
22 this in a moment.

1 The fact that the prespecified equivalence
2 margin for the key secondary endpoints were
3 slightly narrower compared to the primary endpoint
4 was due to the fact that the secondary endpoints
5 were considered to be slightly more sensitive.

6 The primary analysis set was the
7 per-protocol set in our trial. However, supportive
8 analysis using the full-analysis set were also
9 performed.

10 774 patients were screened in our trial; 531
11 patients were randomized, constituting the safety,
12 full analysis, and immunogenicity set. Thirty-one
13 patients had major protocol deviations, who did not
14 already discontinue treatment in treatment
15 period 1, and 20 patients discontinued treatment in
16 treatment period 1. That left us with a
17 per-protocol set of 480 patients.

18 It's important to know that the majority of
19 patients was actually able to be re-randomized into
20 treatment period 2, and the respective numbers are
21 shown in the dark blue boxes. 497 patients
22 constituted the safety and immunogenicity set for

1 treatment period 2, and the per-protocol set in
2 treatment period 2 consisted of 446 patients.
3 Patients were randomized at 71 sites across 12
4 European countries and South Africa.

5 The patient demographics and baseline
6 characteristics were very well balanced between the
7 two treatment groups consisting of GP2015 and
8 Enbrel. This statement is true for age, sex, race,
9 weight, and body mass index.

10 The patient disease history parameters were
11 also very well balanced between the two treatment
12 groups of GP2015 and Enbrel. The mean time since
13 initial diagnosis was in the range of 17 to
14 18 years in both patient populations. Twenty
15 percent of patients suffered from psoriatic
16 arthritis.

17 The majority of patients had no prior
18 systemic therapy, and a moderate form of psoriasis,
19 as shown by the IGA score of 3. The mean PASI
20 score at baseline was 22.5 in both treatment arms.
21 The mean percent of the body surface area affected
22 was 30.5 and 30.9 percent, respectively.

1 The patient disease history and the patient
2 demographics were also analyzed in the per-protocol
3 set, and were highly similar and also well
4 balanced. We have shown you the full-analysis set
5 on this slide and on the previous slide.

6 Now let's turn to the efficacy results of
7 treatment period 1. We used the psoriasis area and
8 severity index scoring system, or PASI scoring
9 system, in our study. The PASI scoring system has
10 been established in 1978, and is since used in
11 virtually every clinical trial which assesses
12 patients with psoriasis in a clinical trial.

13 The PASI scoring system assesses four
14 different areas of the body: the head, the trunk,
15 the upper limbs, and the lower limbs. In each of
16 these areas, four different assessments are made:
17 the percentage of the body surface area affected is
18 assessed, the degree of erythema, induration, and
19 desquamation are also assessed. The maximum
20 possible PASI score is 72.

21 Now let's take a moment and think about the
22 patients we're talking about here today, patients

1 with psoriasis. Psoriasis affects 2 percent of the
2 world population. Psoriatic lesions are painful
3 and are itching, and patients often are
4 discriminated against because psoriasis is a
5 stigmatizing disease.

6 This photograph is taken from one of the
7 earlier publications of Dr. Leonardi, who is with
8 us today. The photograph on the left side
9 represents a patient with a PASI score of 22.7.
10 Twelve weeks upon treatment, the PASI score
11 decreases to 6.3. This is an improvement of
12 72 percent.

13 Twenty-four weeks after initiation of
14 treatment with Enbrel, this patient had a reduction
15 in PASI score to 3.8. That's a reduction of
16 83 percent. So you can easily appreciate that a
17 PASI score of 50, 75, and 90 describes a
18 50 percent, or 75 percent, or 90 percent
19 improvement in PASI score.

20 Now what's important to note here, if you
21 look at the middle photograph, despite the fact
22 that this patient had a 72 percent improvement in

1 PASI score, this patient would still not have been
2 counted as a PASI 75 responder.

3 The primary endpoint in study GP15-302 has
4 been met. GP2015 and Enbrel are equivalent. The
5 table at the upper half of the slide shows you the
6 adjusted PASI 75 response rates measured at
7 week 12. 73.4 percent of patients treated with
8 GP2015 had a PASI 75 response at week 12 as opposed
9 to 75.7 percent for patients treated with Enbrel.
10 That's a difference of 2.3 percent.

11 The respective results of our statistical
12 analysis are shown at the bottom part of the slide.
13 The upper bar demonstrates the 95 percent
14 confidence interval as defined in the protocol.
15 The lower bar shows you the 90 percent confidence
16 interval as requested by FDA. And you can see that
17 the upper and lower boundaries of these two
18 confidence intervals fall very well within the
19 prespecified equivalence margins of minus 18 to
20 plus 18 percent.

21 Here's additional data with respect to the
22 response rate, which we assessed during treatment

1 period 1. You can see the respective results for
2 the PASI 50, 75, and 90 scores. And you can easily
3 see that the results assessed for patients
4 receiving GP2015 and Enbrel are highly similar as
5 the two curves are always almost superimposable.

6 The key secondary endpoints assessed in our
7 trial were also met. You can see on the left side
8 the difference in percent change from baseline in
9 PASI score up to week 12. We applied two
10 statistical instruments, namely the averaged
11 treatment effect, or ATE, as well as the mixed
12 model repeated measures, or MMRM method.

13 So what are these methods? While the
14 PASI 75 score at week 12 represents one single
15 assessment of psoriasis at a single given time
16 point, these two methods are a longitudinal
17 assessment over time. They can essentially be
18 compared with an area under the curve graphical
19 display, which you often see in clinical
20 pharmacology trials. The upper and lower
21 boundaries for both statistical assessments, ATE
22 and MMRM, fell very well within the prespecified

1 equivalence margins of minus 15 to plus 15.

2 We also utilized the investigator's global
3 assessment rating scale. Remember that to be
4 eligible for our trial, patients had to have an IGA
5 score of 3 or 4, which designates a moderate or
6 severe form of psoriasis. We then assessed the
7 proportion of patients who received a complete or
8 almost complete clearance of their psoriatic
9 lesions during treatment. In other words, we
10 counted the number of patients who changed their
11 IGA score from 3 or 4 to zero or 1.

12 Here are the results. There was a marked
13 and similar improvement of IGA scores achieved in
14 both treatment arms consisting of GP2015 and
15 Enbrel. At week 12, 10 percent of patients had a
16 complete or almost complete clearance of their
17 psoriatic lesions. This number increased to
18 roughly 30 percent at week 8, and at week 12, more
19 than 50 percent of patients had completely, or
20 almost completely, cleared their psoriatic lesions.

21 Now let's turn to the safety results in
22 treatment period 1. The exposure to study drug was

1 highly similar between patients treated with GP2015
2 and Enbrel. The mean duration of exposure was
3 80.6 days for patients treated with GP2015, and
4 79.2 percent for patients treated with Enbrel.

5 What's also important to see on this slide
6 is that more than 90 percent of patients either did
7 not miss a single dose, or only one dose, speaking
8 to the high clinical compliance that was observed
9 in our study, and that was true for both treatment
10 arms.

11 The treatment-emergent adverse events
12 observed in treatment period 1 were very well
13 balanced between the two treatment arms consisting
14 of GP2015 and Enbrel. If you just look at the
15 first line, the percentage of patients in whom at
16 least one adverse event was recorded was
17 37.5 percent for patients treated with GP2015, as
18 opposed to 36 percent for patients treated with
19 Enbrel.

20 The other safety parameters that we assessed
21 during the course of our trial, which are listed on
22 this slide, were also very well balanced. And this

1 is also true for the number of patients who had to
2 discontinue or interrupt study treatments due to
3 adverse events.

4 There was a slight numerical difference with
5 respect to adverse events of special interest,
6 which we deducted from the prescribing information
7 of Enbrel, and I will come back to this in a
8 moment. One patient died during the course of
9 treatment in the Enbrel group. This patient had a
10 cardiopulmonary arrest, which was not considered to
11 be related to study treatment.

12 The treatment-emergent adverse events, which
13 were reported at an incidence of greater than
14 1 percent regardless of study drug relationship,
15 were very well balanced between the two treatment
16 arms consisting of GP2015 and Enbrel. The forest
17 plot on the right side shows you the details.

18 If the symbols are found on the right side
19 of the dashed line, the incidence of the adverse
20 events are higher in patients treated with GP2015.
21 If the symbols are on the left side, the incidence
22 is higher in patients who are treated with Enbrel.

1 Overall, there's no particular pattern, and the
2 incidences oscillate basically around the midpoint.

3 Here's more detail related to the
4 treatment-emergent adverse events of special
5 interested listed by system organ class and
6 preferred terms. While there were some numerical
7 differences between the two treatment groups, the
8 infections were mainly benign and local infections.
9 We recorded benign neoplasms, as listed on this
10 slide. Benign lesions included a skin papilloma
11 and a lipoma.

12 There was one patient in whom a colon
13 neoplasm was recorded. This was a patient with a
14 tubulovillous adenoma. One patient had a malignant
15 melanoma in situ. This lesion was removed before
16 initiation of study treatment, and this patient
17 discontinued the study. Another patient had a
18 melanocytic nevus. This lesion was removed at
19 day 28 of treatment, and his patient continued
20 treatment.

21 Overall, these are single events in
22 different system organ classes, and there's no

1 specific or significant safety pattern that can be
2 deducted from this analysis.

3 Let's now look at the efficacy results of
4 treatment period 2. Treatment period 2 had two
5 different objectives. Let's look at the first
6 objective first to compare efficacy, safety, and
7 immunogenicity between the two continued treatment
8 arms, which are shown by the continuous blue line
9 and the continuous red line.

10 Here are the results, the PASI 50, 75, and
11 90 scores for patients treated with Enbrel. If we
12 now overlay the respective results for patients who
13 received GP2015 during this treatment period, the
14 two curves are virtually superimposable.

15 The second objective of treatment period 2
16 was to compare efficacy, safety, and immunogenicity
17 between patients who received continuous treatment,
18 as shown by the purple colored arrows, and patients
19 who received undergoing repeated switches between
20 GP2015 and Enbrel, as shown by the two green arrows
21 in the middle portion of the slide.

22 Here are the results for the PASI 50, 75,

1 and 90 scores for those patients who received
2 continuous treatment with either GP2015 or Enbrel.
3 Again, we now overlay the respective results for
4 patients who received switch treatment between
5 GP2015 and Enbrel. And you can see that both
6 curves are superimposable. This indicates that
7 switching between GP2015 and Enbrel had no impact
8 on clinical efficacy.

9 Of course we were interested in the safety
10 profiles during this treatment period. We first
11 looked at the comparison of those patients who
12 received continuous treatment of GP2015 or Enbrel.
13 The results are very well balanced between these
14 two treatment groups.

15 If you just look at the first line, the
16 percentage of patients in whom at least one adverse
17 event was reported was 31.3 percent for those
18 patients receiving continuous treatment with
19 GP2015, as opposed to 34.4 percent for those
20 patients who received continuous treatment with
21 Enbrel.

22 The other parameters listed on this slide

1 were also very well balanced. And I spoke already
2 to the differences with respect to the adverse
3 events of special interest.

4 Here we analyzed the overall
5 treatment-emergent adverse events by pooled
6 treatment groups consisting of either continuous
7 treatment with either GP2015 or Enbrel, or of
8 switched treatments between GP2015 and Enbrel. The
9 results are very well balanced between these two
10 treatment groups.

11 Again, if you just take the first line of
12 this table, the percentage of patients in whom at
13 least one adverse event was recorded was
14 32.9 percent for those patients who received
15 continuous treatment, as opposed to 34.2 percent
16 for those patients in whom treatment was switched
17 between GP2015 and Enbrel. Now this is important
18 because it shows that switching back and forth
19 between GP2015 and Enbrel has no impact on patient
20 safety.

21 In the last couple of minutes, I would like
22 to quickly touch on the immunogenicity assessments

1 that were conducted in our trial. We implemented a
2 3-step procedure with respect to screening,
3 confirmatory, and neutralization assay. It was a
4 conservative one assay approach for the detection
5 of anti-drug antibody using GP2015 as a catcher and
6 detection agent.

7 An ECL bridging immunogenicity assay for
8 screening and confirmation was conducted, which had
9 a high assay sensitivity of 116.5 nanogram per
10 milliliter. The assay had a high drug tolerance
11 level, which ensured that detection of anti-drug
12 antibodies was possible, even with trough levels
13 measured in this study of up to 15,000 nanogram per
14 milliliter.

15 The suitability of this method to detect
16 anti-drug antibodies against GP2015 and the
17 originator compound was demonstrated in a
18 validation step. Of course, the determination of
19 neutralizing capacity of confirmed anti-drug
20 antibody positive samples was also performed.

21 Here are the results of our immunogenicity
22 assessment. Five patients, all of them in the

1 Enbrel group, showed confirmed anti-drug antibody
2 positive samples up to week 12. That's a rate of
3 1.9 percent, which falls in line with the published
4 data in the literature. All anti-drug antibodies
5 were non-neutralizing, transient, and low in titer,
6 and occurred in the initial 4 weeks of treatment.
7 No additional anti-drug antibody positive results
8 were observed up to week 30.

9 Let me conclude. The efficacy of GP2015 is
10 equivalent to the efficacy of Enbrel. GP2015 is
11 comparable to Enbrel with respect to
12 pharmacokinetic and safety parameters. No
13 immunogenicity concerns exist for GP2015 versus
14 Enbrel. Switching back and forth between GP2015
15 and Enbrel has no effect on efficacy, safety, and
16 immunogenicity.

17 We have demonstrated similarity between
18 GP2015 and Enbrel at all levels of our presentation
19 today. That includes the analytical, non-clinical,
20 pharmacokinetic, and clinical data. With this, I
21 would like to thank you for your attention, and I
22 would like to hand over to Professor Kay who will

1 put our data into clinical perspective. Thank you
2 very much.

3 **Applicant Presentation - Jonathan Kay**

4 DR. KAY: Thank you very much, Malte.

5 Good morning. I'm Jonathan Kay, professor
6 of medicine and the Timothy S. and Elaine L.
7 Peterson chair in rheumatology at the University of
8 Massachusetts Medical School. I'm here today as a
9 paid consultant for Sandoz, but I have no financial
10 interest in the outcome of this meeting.

11 As both a practicing rheumatologist and a
12 clinical researcher, I've followed the development
13 of biosimilars for inflammatory diseases very
14 closely over the past six years, and have published
15 a number of papers on this topic in peer reviewed
16 journals. Today, I'd like to share my thoughts on
17 the use of biosimilars, in particular GP2015, in
18 clinical practice.

19 As Dr. Woodcock stated yesterday, and
20 Dr. McCamish mentioned earlier, the introduction of
21 TNF inhibitors has revolutionized the treatment of
22 inflammatory diseases. Over nearly two decades,

1 the efficacy and safety of TNF inhibition has been
2 well established. Each of the five marketed TNF
3 inhibitors has been demonstrated to be safe and
4 effective. However, their high cost has limited
5 access to these biologic agents for some patients.

6 Tiered formularies often require high
7 co-payments of patients, and time consuming and
8 labor intensive prior authorization processes of
9 healthcare providers and their office staff. So,
10 how can the availability of biosimilars improve
11 access to treatment, and what would improved access
12 really mean?

13 We can look at this question both at the
14 level of the individual patient and at that of
15 society as a whole. First, the availability of
16 lower priced biosimilars should decrease the cost
17 of treating patients. This should make these
18 biologic agents more readily available to patients
19 for whom the bio-originator has been inaccessible
20 because of cost or limited market availability.

21 At the societal level, once effective
22 biosimilars are available at a lower cost to treat

1 many more patients, we should expect to see a
2 reduction in the disability, morbidity, and
3 mortality associated with inflammatory diseases.

4 As an example of how the availability of
5 biosimilars can increase access to treatment, I'd
6 like to share with you some recently published data
7 about the first approved biosimilar monoclonal
8 antibody. CT-P13 is a biosimilar, infliximab, that
9 was first approved in South Korea in July 2012 and,
10 upon the recommendation of this committee, was
11 recently approved by the FDA in April 2016.

12 Dan Solomon's group found that 15 months
13 after its approval in South Korea, the biosimilar
14 accounted for 19 percent of all insurance claims
15 for infliximab. And, had the bio-originator not
16 reduced its price by 30 percent as soon as the
17 biosimilar became available, the biosimilar's
18 market share might have been even greater.

19 But, did this introduction of a lower priced
20 biosimilar actually increase access? The authors
21 found that the use of infliximab, combining both
22 that of the bio-originator and the biosimilar,

1 increased. Over the same time period the use of
2 adalimumab increased less than it had before, and
3 that of etanercept actually decreased.

4 So, although these weren't dramatic changes,
5 the overall market for infliximab expanded, and
6 there was a shift from use of higher to lower
7 priced TNF inhibitors. I recognize that these data
8 come from a country other than the United States,
9 but these are the best data published to date that
10 reflect market changes following the introduction
11 of a biosimilar to treat inflammatory diseases.

12 Now, let's look at GP2015 and how the
13 clinical data that we've seen add to the totality
14 of the evidence supporting extrapolation. As you
15 know, data from a clinical trial of a biosimilar in
16 one disease can support approval for other
17 indications, especially when the mechanism of
18 action of the reference product is the same for
19 each of the diseases. Certainly, we know that
20 rheumatoid arthritis, plaque psoriasis, and the
21 other inflammatory diseases being discussed today
22 all respond to TNF inhibition.

1 The choice of plaque psoriasis as the
2 disease in which to conduct the clinical trial of
3 GP2015 was a good one. Psoriasis is a prototypic
4 inflammatory disease that, when treated with a TNF
5 inhibitor, does not employ concomitant
6 methotrexate.

7 The PASI directly and objectively measures
8 the extent of disease on the target organ, and does
9 not include a subjective patient assessment. It is
10 sensitive to detecting change over time, thus it
11 should be able to detect even subtle differences in
12 clinical response to a biosimilar compared to its
13 reference product.

14 The analytical data demonstrating high
15 similarity of GP2015 to Enbrel, and the
16 confirmatory clinical data in plaque psoriasis
17 shown today, justify extrapolation to rheumatoid
18 arthritis and the other proposed indications.
19 These data add to the totality of the evidence that
20 GP2015 is essentially the same molecule as the
21 bio-originator, Enbrel. Thus, since they've been
22 shown to be essentially the same, we can rely on

1 our accumulated clinical experience with Enbrel
2 across indications to guide our use of GP2015 in
3 these same indications.

4 So, how would I use GP2015 in my practice?

5 I would have no reservations about initiating
6 patients naïve to TNF inhibition on a lower cost
7 biosimilar. I also would strongly consider
8 transitioning patients currently doing well on
9 Enbrel to a lower cost biosimilar to conserve
10 resources. And, I would feel comfortable treating
11 my patients with GP2015 in each of the indications
12 for which Enbrel is approved.

13 The use of biosimilars in clinical practice
14 represents a paradigm shift in the treatment of
15 patients with rheumatologic and other inflammatory
16 diseases. With the approval of GP2015, we will
17 have an important opportunity to increase access to
18 safe and effective therapies for our patients with
19 inflammatory arthritis, spondyloarthropathies, and
20 psoriasis in the United States.

21 Thank you for your attention. Now, I'd like
22 to invite Dr. McCamish back to the podium to

1 conclude the Sandoz presentation.

2 **Applicant Presentation - Mark McCamish**

3 DR. McCAMISH: Thank you, Dr. Kay.

4 So we've attempted to share with you our
5 experience and learnings, and our program over an
6 eight-year period to develop a biosimilar to
7 Enbrel. We've shared with you the data that
8 includes the totality of evidence that GP2015 is
9 essentially the same as Enbrel. That included the
10 analytical, the non-clinical, clinical
11 pharmacology, as well as the confirmatory clinical
12 trial, designed again to pick up any differences if
13 they were to exist as a sensitive model of capping
14 off the totality of evidence.

15 We conclude that the modern technology and
16 analytics does allow for creation and full
17 characterization of biosimilars. We shared with
18 you some of that data. GP2015 has demonstrated
19 both analytically and clinically to be highly
20 similar to the reference product, as required by
21 statute.

22 This high similarity supports extrapolation

1 to all indications as the reference product, and we
2 shared with you some of our learnings around
3 extrapolation and how the totality of evidence can
4 be used to justify this.

5 Biologic drugs are really critically
6 important therapeutic agents. A biosimilar to
7 Enbrel would provide competition and increase
8 access to patients. The approval of GP2015 will
9 expand options available to healthcare providers
10 and patients. And overall what we've attempted to
11 do is provide data that would reassure a treating
12 clinician that using GP2015 is like using another
13 batch of Enbrel for their patients.

14 So with that, Dr. Solomon, I conclude the
15 sponsor presentation. Thank you.

16 **Clarifying Questions to Applicant**

17 DR. SOLOMON: Thank you very much. I'd like
18 to open it up now for clarifying questions. Dr.
19 Oliver, start.

20 DR. OLIVER: Alyce Oliver. Do you have data
21 on immunogenicity for treatment period 2?

22 DR. McCAMISH: We do have data on

1 immunogenicity for treatment period 2. Dr. Peters
2 shared that with you. Essentially, there were no
3 additional immunogenicity after that. You can see
4 these are the patients that developed transient
5 immunogenicity -- slide up -- in 302 up to week 30.

6 You can see this happened in the first
7 4 weeks of exposure, and these were 5 patients that
8 had transient immunogenicity that was present. But
9 then, again, low levels of immunogenicity,
10 transient. You can see by the green dots, they did
11 not become consistently immunogenic, or positive.
12 And during the entire period of the treatment
13 period 2, there was no additional immunogenicity
14 that was seen with switching or without switching.

15 DR. SOLOMON: Dr. Horonjeff?

16 DR. HORONJEFF: Hi there. Jennifer
17 Horonjeff. First of all, it was a very impressive
18 presentation, and certainly from the scientific
19 side. I just want to make a note, though, during
20 the last presentation from Dr. Kay in talking about
21 the advantages of using plaque psoriasis for the
22 disease that you were experimenting on here, that

1 it was noted that the advantage was using the PASI
2 because it didn't have subjective patient data.
3 And it was framed in sort of a negative context
4 that having that patient data would have given us
5 different results that maybe have been unfavorable.

6 So just urging the sponsor to not see
7 patient input as being a negative source of
8 information.

9 DR. McCAMISH: No. I appreciate that
10 comment. I think it's valuable. And what we were
11 trying to do is use the approach of differentiating
12 between our product and the reference product in
13 the best way we can. We did include
14 quality-of-life measures that did provide
15 patient-supported information on this to show that
16 there was no difference between our product and
17 GP2015. But this is a very important point.

18 I would, however, just as a point in terms
19 of clarification, like for Dr. Leonardi to come up
20 and talk about PASI, because this is an important
21 component and psoriasis being a key issue. And
22 this is not to ignore the patient at all, but to

1 talk about the science of what we were attempting
2 to do here.

3 DR. LEONARDI: Thank you, Dr. Solomon and
4 committee. My name is Dr. Craig Leonardi. I'm a
5 dermatologist in St. Louis, Missouri, and my office
6 is one of the large psoriasis research and
7 treatment centers.

8 When patients are done with trials, I
9 generally try to get them on stable prescribed
10 therapies, and as a consequence over the years,
11 I've got roughly 12[00] to 1300 patients who are on
12 prescribed biologics.

13 Let me have that slide. Sure, PASI is a
14 measurement we inherited from the Scandinavians.
15 And we can poke fun at it for a variety of reasons,
16 but the fact is, this is the one continuous
17 measurement throughout all of the years that we've
18 been conducting. Essentially, it attempts to
19 capture the essential elements of psoriasis on the
20 skin, how much and how bad.

21 We can argue about whether or not this does
22 it linearly, whether or not these assumptions are

1 great, but the fact is that it does a pretty decent
2 job in the population of patients who are called
3 moderate to severe psoriasis.

4 Let me have the next slide, please. This is
5 some data from one of the recent IL-17 antagonists
6 that was approved about a year and a half ago. And
7 I'll just say, well conducted psoriasis trials have
8 incredibly consistent PASI responses.

9 You can see, in this trial, the ERASURE
10 trial in the green bar, 81.6 percent in one trial,
11 77 percent in the FIXTURE trial. So nice
12 repeatability. In a placebo arm, we see a nice low
13 placebo response. That's usually important in
14 pivotal trials, not so much in the work we're
15 talking about today.

16 Let me see the next slide. This is
17 ixekizumab, a drug that was just approved, and
18 spectacular concordance across three large phase 3
19 trials, 89, 90, and 87 percent. So this is a
20 metric that can have a lot of repeatability across
21 a wide variety of patients in trials by
22 experiences.

1 Then I think we have one more. This is from
2 the pivotal Enbrel trials way back in the day,
3 around 2003 this research was done. And you can
4 see that there are three lines, three graphs here.
5 The first one is a placebo crossover at the bottom.
6 Patients were on placebo up to 12 weeks, and then
7 crossed over onto active therapy. And you can see
8 an inflection, change, reflecting a response to
9 therapy.

10 At the top line, though, is an interesting
11 curve. And what you're looking at is the step-down
12 dosing of etanercept. That's where patients
13 started off at 50 milligrams twice a week, or a
14 100 milligrams a week. And then at week 12, they
15 stepped down to 50 milligrams a week, and you can
16 see the inflection changes. This is a metric that
17 is also sensitive to subtle changes in the way that
18 the dosing occurs.

19 Now, one of the key issues always in the
20 back of our mind is are we doing anything that
21 makes a difference in patients' lives. And right
22 here, you see an attempt to link PASI, various PASI

1 improvement bins going across horizontally, to
2 DLQI, dermatology life quality index. You can see
3 quite convincingly that there is a relationship,
4 and that with increased improvement in PASI, even
5 up to 100 percent, you see a marked change in DLQI
6 score. And I have just one more for you.

7 This is again from the ixekizumab trial, and
8 this is looking at itch. Itch is really insidious
9 for these patients, as you all know. But you can
10 see that with every step of the way, PASI 50 to 75,
11 75 to 90, 90 to 100, or even 100, there is a
12 statistically significant improvement in itch
13 classification. These are important elements in
14 PASI. I'm saying this to tell you that even though
15 it's an imperfect measure, that you can rely on
16 these results with confidence.

17 I guess the last slide might be the most
18 interesting one, is my thoughts on this matter. So
19 what makes psoriasis a preferred indication? I
20 think I'm going to tell my rheumatology colleagues,
21 you're going to see more and more of biosimilar
22 trials flow into this space with a psoriasis trial.

1 It's a well understood and shared mechanism
2 of action that treats psoriasis, and it's common
3 with RA, and ankylosing spondylitis, and JIA, and
4 psoriatic arthritis. The psoriasis patients are
5 typically younger and healthier by about 10 years,
6 and that means they have fewer comorbid diseases,
7 fewer concomitant medicines, and less noise as a
8 consequence of all of that.

9 The disease is on display. It's easy to
10 assess. There's no invasive testing required.
11 Yeah, even a dermatologist can do this assessment.

12 (Laughter.)

13 DR. LEONARDI: In dermatology, biologics are
14 accepted as monotherapy. That could be an
15 important thing because again, whenever you bring
16 methotrexate, azathioprine, prednisone into the
17 mix, there's a lot of noise. It's safety noise.
18 It's noise that interferes with immunogenicity data
19 and efficacy interpretations.

20 Next slide. Let's see. No, stay right
21 here. There are well established primary
22 endpoints, PASI, and usually some form of

1 investigator global assessment or physicians global
2 assessment. Psoriasis has the largest treatment
3 effect size in the class, and this allows for
4 detection of small differences in efficacy.

5 If you're a busy pharmaceutical company, the
6 skin responses are fast. You don't have to wait a
7 year to get these answers, you're getting them in
8 12 to 16 weeks, and you can make adjustments on the
9 fly. Thank you very much.

10 DR. HORONJEFF: Can I say just in follow up,
11 that I appreciate walking through the PASI, but
12 since you said that you do have the quality of life
13 data, I would have just been interested to see that
14 presented here for the study that we're looking at
15 now; especially using the public hearing to try to
16 get more patient buy-in as we've heard for the past
17 two -- or yesterday and the one back in February,
18 that clearly we need to be able to explain to the
19 public that this is not something to be feared.
20 So, thank you.

21 DR. McCAMISH: Sure. Thank you. And I'd
22 like Dr. Peters to share with you the

1 quality-of-life data.

2 DR. PETERS: We applied three different
3 quality-of-life instruments, the DLQI, which is a
4 10-item general Dermatology Disability Index
5 Questionnaire. We applied the EQ-5D, which is a
6 generic instrument to assess patients health
7 status. And for patients who were diagnosed with
8 psoriatic arthritis only, we administered the
9 HAQ-DI test to assess the physical function and
10 activity limitation.

11 Here's the summary of the results. You can
12 see at the upper left side of the slide, the
13 results for the DLQI. And we compared the results
14 for patients treated with GP2015 and those who
15 received Enbrel, and you can see that the red and
16 the blue curves are superimposable, indicating a
17 highly similar treatment effect.

18 At the right side, you see the EQ-5D results
19 at week 12. And again, the results were highly
20 similar for patients treated with GP2015 and
21 Enbrel. At the left bottom of the slide, you see
22 the HAQ-DI results.

1 These were assessed only in patients who
2 were diagnosed with psoriatic arthritis. Remember,
3 20 percent in both treatment cohorts had psoriatic
4 arthritis. And again, you can see that the
5 treatment effect in patients treated with GP2015
6 and Enbrel was comparable.

7 DR. SOLOMON: Dr. Becker, next.

8 DR. BECKER: Hi, Mara Becker. I had a
9 question about your formulation. I did not see a
10 lyophilized powder as an option. And being a
11 pediatrician, we use much smaller doses than
12 25 milligrams at a time. I was curious how you
13 thought to address that, or if your pre-filled
14 syringe would be able to be marked in such a way
15 that we would be able to use smaller doses.

16 DR. McCAMISH: Yes, thanks for the question.
17 And we have a vial under development that we'll use
18 and introduce as part of the interchangeability
19 component. For that, you'd need all dosage forms
20 for that use, and in the meantime use the
21 pre-filled syringe as it would normally be used.

22 DR. SOLOMON: Dr. Scher?

1 DR. SCHER: Jose Scher here. I'd just like
2 to go back to Dr. Leonardi's presentation. I'm
3 having trouble with the outcome, the primary
4 outcome of this study, right, PASI 75 of 75 percent
5 on Enbrel.

6 You showed your seminal study with an
7 outcome of 49 percent when you showed a picture;
8 that's the parent company, Novartis, doing a
9 head-to-head secukinumab versus Enbrel. In that
10 study, the PASI 75 was 45 or 44 percent.

11 The question is, how do you assess the
12 dramatic difference in the overall efficacy?

13 DR. McCAMISH: I'd be happy to have
14 Dr. Leonardi address that question.

15 DR. LEONARDI: By the way, I didn't disclose
16 conflicts of interest. I have contact with many
17 companies. If there's a theme in there, they're
18 all developing the new drugs for psoriasis. I have
19 no financial interest in the outcomes of this
20 meeting at all.

21 Let me have the first slide. The difference
22 between the pivotal trials and this trial, in my

1 opinion, the biggest difference that could account
2 for the relatively high PASI response is lack of a
3 placebo arm. I think that's the important issue
4 here.

5 Placebo arms really help ground the
6 investigators and makes them think about
7 everything. Their assessments get tighter and more
8 accurate whenever there's a placebo arm.

9 However, we've seen high numbers in the
10 past. If there's a theme here, the trials I'm
11 going to tell you a little bit about are open-label
12 in the sense that there is no placebo arm and that
13 the dose of etanercept is understood.

14 The first one is this PRISTINE trial. So,
15 what you can see here, this is a trial of
16 "real-world use" of etanercept in a moderate to
17 severe population. After week 12, these patients
18 were on 50 milligrams a week of Enbrel. And they
19 were allowed to use potent topical steroids after
20 week 12, although I think only about 20 percent of
21 them did. But you can see that this number got
22 pretty high, and similar to what was seen in the

1 EGALITY or the GP2015 studies.

2 So this is an example of a study that is not
3 placebo-controlled, with similar results. Not
4 happening as fast as what happened with GP2015, but
5 nonetheless, results in the same neighborhood.

6 There's another study that I'll show you,
7 and this is another real-world etanercept or Enbrel
8 use study, and this is PRESTA. And this is
9 essentially a study with patients who have
10 significant psoriatic arthritis, as well as
11 significant psoriasis. So not the type of
12 psoriasis that is typically found in the
13 rheumatology studies, but the moderate to severe
14 disease, 10 percent body surface area, PASI of 10,
15 PJ of 3.

16 Again, the dose was understood from the
17 get-go. There was no placebo arm. And you can see
18 that the numbers, you're looking at PASI 75 on the
19 left -- I'm sorry, the mean decrease, mean
20 reduction in PASI is very similar as that was seen
21 with, again, the GP2015 study.

22 So if I had to put all this together, I

1 would say that -- next slide -- thank you. There
2 was no placebo control, and in my mind that
3 accounts for the biggest reason that there is a
4 jump in efficacy that was seen. There was a
5 slightly different body weight in the Sandoz trials
6 compared to the Enbrel trials, and that will count
7 for some change in PASI. There's a weight-based
8 effect always in PASI.

9 The response was, beyond the 12 or 16 weeks
10 was comparable to other published studies. The
11 higher response rates that we see on the most
12 recent etanercept or Enbrel studies are consistent
13 with this as well. The bottom line is that this
14 was a comparison, a comparison of etanercept versus
15 GP2015, and that whatever was going on in the study
16 was consistent, and the results were very similar,
17 as we saw. Thank you.

18 DR. SCHER: Can I follow up on that?

19 DR. SOLOMON: Yes.

20 DR. SCHER: If you can go back two slides on
21 the real-world --

22 DR. LEONARDI: Yes, we can go everywhere.

1 DR. SCHER: Yes. Is that twice a week
2 Enbrel that --

3 DR. LEONARDI: It was twice a week for the
4 first 12 weeks, and then once a week after that.

5 DR. SCHER: I see, so it's a different
6 criteria compared to the GP2015.

7 DR. LEONARDI: Yes, this is a dosing that
8 was done throughout the etanercept development
9 programs, right.

10 DR. SCHER: Okay. Were there any
11 differences in the inclusion criteria, UV light
12 therapy, or other treatments that were --

13 DR. LEONARDI: To the very best of my
14 knowledge, the answer is no.

15 DR. SCHER: Thank you.

16 DR. SOLOMON: Thanks. Dr. Reimold?

17 DR. REIMOLD: Thank you. Andreas Reimold.
18 I have actually four questions, two from the
19 analytic realm and two with the more clinical.
20 Let's start with the more clinical. On slide CL-
21 30, there is a reference to multiple or repeated
22 switch of GP2015 versus Enbrel. Can we clarify

1 that? Is it really more than one switch back and
2 forth repeatedly or just one switch?

3 DR. McCAMISH: Thank you. The slide is
4 depicted here. And for the treatment period 2, so
5 after week 12, we then re-randomized patients as
6 outlined here. And there were three switches that
7 each patient experienced that were randomized to
8 the switch group.

9 There was a unequal allocation because we
10 wanted to have more experience of continuous
11 treatment, but we did want to probe the issue of
12 switching.

13 So you can see that in the intermediate
14 lines of the slide, you can see that 100 patients,
15 about 100 patients, in the continuous -- in those
16 that experienced GP2015 were then switched to
17 Enbrel. Those same patients 6 weeks later were
18 switched back to GP2015. Those same patients
19 6 weeks later were switched back to Enbrel. And
20 the opposite switching strategy for those that were
21 on Enbrel first.

22 DR. REIMOLD: Okay. Fine. That's a new

1 finding that was tolerated well.

2 Also, then in the PK studies, that was slide
3 CP-14, you moved quickly past the different weight
4 categories. Was there any kind of effect of weight
5 in the end that was a secondary endpoint?

6 DR. McCAMISH: Yes, that was indeed
7 intriguing. So there were the weight categories
8 that we wanted to look at. FDA actually asked us
9 to look at those weight categories. If we can
10 maybe pull up the PK from that. Slide, please.

11 We could show that there was really -- slide
12 up. This is a PK looking at those. There was no
13 difference in people treated with the pre-filled
14 syringe or the auto injector in each and any of the
15 weight categories of interest. You can see the
16 medium and high weight categories, PK was about the
17 same. There was greater exposure in the lower
18 weight category, as you can see from this.

19 There's a corollary to that, if we can bring
20 up slide EF-61, please. So a corollary to this, in
21 the clinical trial, the 302 clinical trial, because
22 we also looked at the impact of weight, because

1 this was a stratification factor. So here you can
2 see that there was an impact on weight and its
3 efficacy here. And you can see almost a 20 percent
4 difference in the primary endpoint whether you were
5 in the higher weight category quartiles here or the
6 lower weight quartile.

7 Again, this points that there is an impact
8 here that we found. It's important to stratify for
9 them so you have equal allocation. But also it
10 does point back to the sensitivity of psoriasis
11 because we can pick up a difference in terms of a
12 dose that would happen with a higher weight, lower
13 weight individual.

14 DR. REIMOLD: We have time for my others?
15 Then for the more analytic things, I wanted to
16 clarify, it was mentioned that GP2015 is derived
17 from a CHO cell line. And is that a transfection
18 that your company did and derived at anew? Did you
19 get the original line from the inventor from many
20 years ago?

21 (Laughter.)

22 DR. REIMOLD: Was the original product also

1 made for a CHO cell line?

2 DR. McCAMISH: Yes. The original product
3 was also made from a CHO line. We did not steal
4 the original line from the originator.

5 (Laughter.)

6 DR. McCAMISH: Although I was at Amgen at
7 the time. But no, we developed it. Novartis is a
8 producer of biologics, and so there are cell lines
9 that we use routinely in Novartis, as a company,
10 that we're very familiar with.

11 We utilized an existing cell line that we
12 have used in the past and were more familiar with,
13 and that cell line was adapted based on the
14 variability of the criteria that we were looking at
15 to make the biosimilar.

16 DR. SOLOMON: Dr. Ye. Maybe if we can focus
17 on clarifying around some of the analytics, I think
18 that would be most productive. So, Dr. Ye?

19 DR. YE: Yes, actually I do have a few
20 questions about the analytical part, particularly
21 on slides CA-20 to 24, which are in regards to this
22 misfolded species that seems to have an impact on

1 the efficacy of the drug.

2 My question is that given that since that
3 the materials, from the materials that I read, this
4 is only a small fraction of the proteins that has
5 this incorrectly disulfide bonded variants, how
6 could that account for such big variations in the
7 bioactivities from the assay? Particularly when
8 you do the rescue experiments with the redox
9 systems, it seems like there's really a huge
10 variation from the bioactivity from 20 percent to
11 100 percent.

12 DR. McCAMISH: The question then is, looking
13 at this variant, and working out the equivalence of
14 the parameter and how we look at that, and then how
15 is this reflected. It seems like when you look at
16 T7, there's a bigger contribution to the binding
17 capacity. Is that the question?

18 DR. YE: Yes.

19 DR. McCAMISH: Okay. Thank you. In the
20 slide that you mentioned, again, let me point out
21 that we were looking at the variability of the
22 originator GP2015, very stable over time in terms

1 of its event, but we're trying to understand the
2 trend down with the originator. And I'll ask
3 Dr. Schiestl to come up and address that regarding
4 the T7 component there and how we quantified it.

5 DR. SCHIESTL: Yes. Martin Schiestl,
6 Sandoz. If we can have the slide with the
7 structural functional relationship.

8 So I assume you're referring to this slide,
9 so the question was, I think I understood it
10 correctly, so how the small number in the T7, this
11 relative amount, contribute to such a large
12 difference in TNF neutralizing activity.

13 The point is T7 is only one of four of those
14 incorrect disulfide bond variants. The number you
15 see here is a relative number, which is a measure
16 for the misfolded portion of those variants
17 overall, but it's just methodological reason.

18 For example, where you see T7, the relative
19 amount between and 7 and 8, so this has about
20 around 70 percent overall of those misfolded
21 variants.

22 DR. YE: But what is absolute about the

1 misfolded variants in these different products?
2 What is the variations of -- what is the proportion
3 of the total misfolded variants in the products?

4 DR. SCHIESTL: The total portion, so we can
5 only estimate it. It's around 10, somewhat over
6 10 percent in the Enbrel. But this is a measure,
7 which we were able to establish to measure this
8 misfolding very precisely so that we can compare
9 batches to each other, or also changes in the
10 batches to each other.

11 DR. YE: But then how do you expand that
12 even if you have this 10 percent misfolded proteins
13 that are completely inactive that actually lead to
14 more than 30, 40 percent of the changes in the
15 activities in slides, let's say CA-20?

16 DR. SCHIESTL: Come back to slide CA-20.

17 DR. YE: So the variability is that for the
18 Enbrel seems to range from 120 percent to
19 80 percent. So that's like 30 percent differences
20 there, and you only have like 10 percent of the
21 products you think are misfolded or inactive.

22 Do you think that's the reason to explain

1 these variabilities here? I think there seems to
2 be a disconnection here.

3 DR. SCHIESTL: As I mentioned, we couldn't
4 quantify the absolute amount very precisely. This
5 is due to technical reasons. What we can quantify
6 is this T7 variant, and we know that this also is a
7 measure for the misfolded variants overall.

8 DR. YE: Have you compared the overall
9 spectrum of the misfolded species between your
10 products and Enbrel's to show that they are also
11 quite similar in terms of the --

12 DR. SCHIESTL: We found the same misfolded
13 variants in our manufacturing process development
14 and also in Enbrel. So this is totally -- we found
15 exactly the same structures.

16 DR. SOLOMON: Dr. Bergfeld?

17 DR. BERGFELD: Actually that was my question
18 about the disulfide bonds. Thank you.

19 DR. SOLOMON: All right. Dr. Brittain?

20 DR. BRITTAIN: Yes, I have a quick question
21 on the clinical trials. So the primary analysis is
22 per protocol and the secondary is intent to treat.

1 I would have flipped those. I worry about
2 per-protocol analyses because of potential for
3 bias. But I just want to confirm that when you did
4 the secondary analysis, that the results were
5 essentially the same.

6 DR. McCAMISH: Yes, and it's a good
7 question. When we're looking at biosimilarity and
8 equivalence trial, it's more sensitive to look at
9 the per protocol because what you're actually
10 asking is will patients treated with the two drugs
11 have any difference. So we used the per protocol,
12 although one would generally use the
13 intent to treat. But we confirmed that both
14 intent to treat and per protocol yielded the same
15 result. And on the secondary endpoints as well,
16 they rebuild the same result there.

17 Let me have the slide, please. So here you
18 can see on the main analysis per-protocol set, on
19 the FAS, which is the full-analysis set
20 intent to treat, you can see the primary endpoint
21 evaluation, PASI 75, and then you can see the
22 secondary endpoints evaluated, and there are

1 essentially very little difference between those
2 evaluations.

3 DR. BRITTAIN: Just to follow up just
4 quickly -- that's fine -- I think more people who
5 do non-inferiority trials, it used to be that they
6 wanted to do per protocol to primary, but now the
7 trend has been to do an intent to treat as primary.

8 DR. McCAMISH: Thank you.

9 DR. SOLOMON: Okay. We're going to do three
10 or four more questions. And then we're running a
11 little over, but I think this is productive.

12 Dr. Jonas?

13 DR. JONAS: Beth Jonas. I appreciate the
14 opportunity to actually look at multiple switches
15 because I think that's one of the things we've
16 struggled with on this committee about what would
17 happen switching back and forth, so thank you for
18 that.

19 Our biggest issue is always safety and
20 immunogenicity, so can you comment on the serious
21 adverse events that were reported comparing the
22 pooled continued and the pooled switching?

1 DR. McCAMISH: Sure. I'd like to have
2 Dr. Peters address that, please. So this is pooled
3 switching, pooled continuous --

4 DR. JONAS: Yes.

5 DR. McCAMISH: -- adverse events?

6 DR. PETERS: Overall, in our study, we
7 observed 16 serious adverse events: 7 in treatment
8 period 1; 3 in the continuous phase of treatment
9 period 2; and 6 in the patients who underwent
10 switching between GP2015 and Enbrel in treatment
11 period 2. With respect to your question, this
12 slide displays the details. You can see the two
13 columns of patients who have received continued
14 treatment with either GP2015 or Enbrel, or patients
15 who underwent switched treatment between GP2015 and
16 Enbrel.

17 So the details are listed. The infections
18 and infestations are diverticulitis, pneumonia,
19 tonsillitis. And then there were a couple of
20 singular events that occurred in the patients who
21 underwent switched, including an umbilical hernia,
22 cholelithiasis, one patient who had a psoriatic

1 arthropathy and psoriasis, and a patient with
2 sarcoidosis. So overall, we consider these to be
3 not clinically meaningful, and these are single
4 events in multiple different system organ classes.

5 DR. SOLOMON: I'm going to cut it off. I'm
6 sorry. Dr. Curtis?

7 DR. CURTIS: Sean Curtis. Just regarding
8 your human PK studies, just confirming, you do not
9 have a study that directly compared the two
10 Enbrels, is that correct, within the same study?

11 DR. McCAMISH: The PK study was done
12 concurrently and across --

13 DR. CURTIS: Right. But not head-to-head,
14 correct, in the same study.

15 DR. McCAMISH: Correct.

16 DR. CURTIS: Okay.

17 DR. SOLOMON: Dr. Siegel?

18 DR. SIEGEL: Thanks. I have one analytical
19 and a short clinical question. For the analytical,
20 just go back to the misfolded protein one more
21 time. I appreciate the redox experiment to try to
22 simulate refolding, which might occur in vivo. I'm

1 just wondering if there was any more physiological
2 experiment that was done with the analytical
3 capabilities that you have, such as injecting into
4 a mouse model or something like that, to look at
5 refolding in any other circumstances other than the
6 redox buffer.

7 DR. McCAMISH: Thank you for the question.
8 There was no studies reinjecting into animal models
9 or others. What we tried to do is, again, dealing
10 with the issue of the Enbrel reference product
11 variability to show that in a redox you could
12 reverse that back. But no in vivo animal model.

13 DR. SIEGEL: Just on the clinical side, I
14 have to ask this as a rheumatologist, but in the
15 trial, the psoriasis trial, was joint count, tender
16 swollen joint count, any other measures of the
17 arthritis in the 52 patients who had psoriatic
18 arthritis collected, or do you have any other
19 information on that?

20 DR. McCAMISH: There was not ACR20 or active
21 provision of information regarding the arthritic
22 component. All we provided was the QoL information

1 to relate.

2 DR. SOLOMON: Dr. Reimold for a brief one?

3 Do you have any --

4 DR. REIMOLD: Just one.

5 DR. SOLOMON: Okay. Just one?

6 DR. REIMOLD: Yes. Andreas Reimold. So
7 this is still on the analytics. Slide CA-30 dealt
8 with impurities. I wanted to hear some more on the
9 significance of the galactosylation or the
10 aggregation products. There seemed to be, at least
11 at the scales presented, some slight differences
12 between your product and the comparators. So any
13 speculation on the clinical significance of that or
14 the different manufacturing processes and how that
15 makes these products potentially more different?
16 Thank you.

17 DR. McCAMISH: Thanks. Again, we're using
18 the most modern technologies for this, and so
19 fairly well controlled, and the aggregation is
20 lower, as you can see. That's the hope, is that
21 you try to control these. On the alpha
22 galactosylation, again, within the variability of

1 the reference product over time, as we've shown as
2 well.

3 Again, when we've looked at this, not only
4 from the evaluation from the literature, but also
5 in the clinical evaluation, the product binding,
6 other types of things, there's not an impact on PK
7 nor on the clinical effect that we've shown in a
8 relatively sensitive trial.

9 DR. SOLOMON: I think, Dr. Hancock, you had
10 one question, and then we're going to close.

11 DR. HANCOCK: Yes, so I had an analytical
12 question or two. If I could talk about the map,
13 slide CA-14, and then we'll jump to CA-27. GP2015
14 is a complex molecule, so I had a couple of
15 questions about the map. For example, roughly how
16 many peptides did you separate in the map?

17 DR. McCAMISH: Okay. Dr. Schiestl, do you
18 want to address that?

19 DR. SCHIESTL: Martin Schiestl, Sandoz.
20 Yes, so there's a typical number of peaks as seen,
21 you see, so this is a typical number in the peptide
22 maps we have also observed. But as I mentioned, we

1 used four different enzymes, so we created
2 overlapping fragments to cover the whole sequence.

3 DR. HANCOCK: Yes. Everybody may not be
4 aware, but to get a 100 percent sequence covered is
5 a tricky job for that molecule, so you used
6 multiple enzymes.

7 DR. SCHIESTL: Right.

8 DR. HANCOCK: Okay. And were you able to
9 identify internal clipping by the use of these
10 multiple enzymes? Did you see it? Was it
11 different between the two products?

12 DR. SCHIESTL: Yes, we see -- so a clipped
13 variance. This is an impurity, which is present in
14 the product and we determined those also in the
15 peptide maps.

16 DR. HANCOCK: Right. So you characterized
17 them through the peptide map?

18 DR. SCHIESTL: Yes, right. So we did MSM as
19 experiments to really determine the exact sequence
20 of each of those peptides.

21 DR. HANCOCK: Good. Then if we could jump,
22 following on the map, to CA-29, to look at the

1 glycose. I'm just wondering how you determine the
2 O-glycans.

3 DR. SCHIESTL: The O-glycans we determined
4 with multi-task spectrometry. So we did
5 permethylation, the O-glycosylation, and then we
6 analyzed [indiscernible].

7 DR. HANCOCK: Okay. Then did you
8 characterize the N-glycosylation of the peptide
9 level, or do you again cleave and do
10 permethylation?

11 DR. SCHIESTL: We analyzed the N-glycans by
12 also with the peptide map still to assign the
13 glycosylation sites. Then we did a
14 de-glycosylation of the N-glycans, and then we used
15 a separation with HILIC chromatography. By this we
16 quantified them and also identified the exact
17 structures of the N-glycans.

18 DR. HANCOCK: Did you observe partial
19 occupancy at any of the glycosylation sites?

20 DR. SCHIESTL: The occupancy was very high.
21 And many O-glycans, not all of them, were occupied
22 on the sites, but in general they were pretty high.

1 DR. HANCOCK: Okay. So the occupancy was
2 high and similar between the two products?

3 DR. SCHIESTL: Yes.

4 DR. HANCOCK: Okay. My last question, I
5 don't run over too far, but did you look at free
6 sulfhydryl content in the two products and also
7 follow a stability program? Because the concept of
8 disulfide shuffling is complicated. So do you see
9 free sulfhydryls at all?

10 DR. SCHIESTL: The free sulfides?

11 DR. HANCOCK: Yes. SH group, free SH
12 groups.

13 DR. SCHIESTL: Yes, we quantified them with
14 [indiscernible] and also followed up also on
15 stability. And they were also comparable.

16 DR. HANCOCK: And they increased over time,
17 in stability?

18 DR. SCHIESTL: No, they don't increase over
19 time.

20 DR. HANCOCK: Well, that's reassuring.

21 (Laughter.)

22 DR. HANCOCK: Okay, thank you.

1 DR. SOLOMON: Okay. Well, we're going to
2 draw this to a close. I'm sorry we went over. And
3 I'm sorry we didn't get to every question, but I
4 think it was a robust conversation. We're going to
5 cut our break to 10 minutes, so be back here at
6 10:40. And Moon Hee has assured me that the FDA
7 can reduce their presentation to make up time.

8 (Laughter.)

9 (Whereupon, at 10:30 a.m., a recess was
10 taken.)

11 DR. SOLOMON: Okay. We're now going to
12 proceed with the FDA presentations. Dr. Adams?

13 **FDA Presentation - Peter Adams**

14 DR. ADAMS: Good morning. My name is Peter
15 Adams. I am a product quality reviewer in the
16 Office of Biotechnology Products. I will present
17 an overview of the product quality section of the
18 BLA submission.

19 This presentation will cover the structure,
20 mechanism of action, GP2015 manufacturing, the
21 analytical studies that were undertaken to support
22 a demonstration of biosimilarity, and I will

1 provide an overview of the analytical similarity
2 data.

3 GP2015 was developed as a biosimilar product
4 to etanercept. The reference product is
5 US-licensed Enbrel. Etanercept is an Fc fusion
6 protein consisting of the extra-cellular domain of
7 the tumor necrosis factor receptor 2 and an Fc
8 region derived from the IgG1 antibody.

9 It is a glycoprotein with 3 N-linked
10 glycans, 1 on the Fc region, and 2 on the receptor.
11 It also has approximately 10 O-linked glycans,
12 which are also located on the receptor. It is a
13 dimer with 13 intrachain disulfide bonds, 11 in the
14 receptor, and 2 in the Fc region, to give a total
15 of 26, and 3 interchain disulfide bonds in the Fc
16 hinge region.

17 TNF is a proinflammatory master cytokine
18 that plays a role in the immune system and
19 inflammatory responses. It is functional as a
20 trimer and is synthesized and presented on the cell
21 surface as a membrane-bound form that can be
22 cleaved by metalloenzymes to yield soluble TNF.

1 TNF alpha is produced by activated immune
2 cells, such as macrophages, dendritic cells,
3 T-cells, along with adipocytes and fibroblasts. As
4 a master cytokine, it elicits a diverse range of
5 responses that are dependent upon cell type.

6 The proposed mechanism of action is that
7 etanercept binds to and neutralized TNF alpha and
8 the related molecule TNF beta, also known as
9 lymphotoxin alpha. The biological responses to TNF
10 alpha are mediated by two receptors, TNF-R1 and R2.
11 TNF-R1 is expressed on most cells while the
12 expression of TNF-R2 is limited to hematopoietic
13 and endothelial cells.

14 Both membrane-bound and soluble forms of
15 both receptors and TNF are present in circulation.
16 Although etanercept binds both soluble and
17 membrane-bound forms of TNF, the major interaction
18 for etanercept is with soluble TNF and blocks it
19 from binding to the membrane-bound receptors.

20 Based on published literature, reverse
21 signaling, which is mediated by the membrane-bound
22 form of TNF, is unlikely to play a role in

1 etanercept's mechanism of action. Similarly,
2 etanercept has an Fc region. Evidence suggests
3 that antibody-dependent cell cytotoxicity, or ADCC,
4 and complement dependent cytotoxicity, or CDC, are
5 not part of the mechanism of action.

6 GP2015 drug substance is produced in
7 mammalian cell culture and purified using standard
8 purification procedures. The manufacturing process
9 was demonstrated to remove process related
10 impurities such as host cell proteins, host cell
11 DNA, and other process related impurities to levels
12 that are consistent with industry standards for
13 biotechnology products.

14 Multiple lots of GP2015 drug substance have
15 been manufactured at the same scale since 2011.
16 Minor changes in the drug substance manufacturing
17 process were introduced during development and
18 comparability of the GP2015 drug substance was
19 demonstrated between the processes.

20 In addition, critical quality attributes,
21 such as potency and glycosylation, were assessed to
22 ensure consistency in the manufacture of GP2015.

1 No major issues were identified during the
2 inspection of the drug substance manufacturing
3 facility in March of 2016.

4 GP2015 drug product is manufactured as a
5 50 milligram per mL solution for injection. The
6 container closure is a pre-filled syringe. The
7 formulation of GP2015 differs from US-licensed
8 Enbrel and consists of a citrate buffer along with
9 sodium citrate, chloride sucrose, and lysine. The
10 proposed expiration date is supported by data from
11 stability studies.

12 I'll now discuss the analytic similarity
13 studies. To evaluate analytical similarity, GP2015
14 was compared to the reference product, which is
15 US-licensed Enbrel. In addition, pairwise
16 comparisons between US-licensed Enbrel and
17 EU-approved Enbrel, GP2015 and EU-approved Enbrel
18 were carried out to establish the analytical
19 portion of the scientific bridge between the three
20 products. An analytical bridge is necessary to
21 link the EU-approved Enbrel that was used in
22 non-clinical and clinical studies to the

1 US-licensed Enbrel and GP2015.

2 For the analytical similarity exercise, a
3 battery of analytical methods was used to assess
4 quality attributes. Broadly, the methods assessed
5 primary and high order structure, high molecule
6 weight species and fragments, charge variants,
7 hydrophobic variants, and N- and O-linked glycans.

8 Potency was assessed using a TNF alpha
9 reported gene assay, TNF binding by surface plasmon
10 resonance, and an apoptosis inhibition assay. TNF
11 beta was also assessed using a reported gene assay.
12 Antibody effective function and binding to the Fc
13 gamma receptors, including FcRn, as well as binding
14 to the C1Q complement, were also assessed.

15 Quality attributes that were classified as
16 highly critical included the primary amino acid
17 sequence, high order structure, potency assessed
18 using the TNF reporter gene assay, and TNF binding.

19 I'll now discuss the analytical similarity
20 data. The lots used in the analytical similarity
21 exercise included 15 lots of GP2015 drug product.
22 Some of these were used in clinical studies.

1 Drug substance lots were also analyzed but
2 not included in the statistical analysis to avoid
3 duplication with the drug product lots, which have
4 been manufactured from those drug substance lots.
5 Thirty-four lots of U.S. Enbrel and 50 lots of EU
6 Enbrel were analyzed. It should be noted that not
7 all lots were tested with each analytical method.

8 The primary sequence of US-licensed Enbrel
9 and GP2015 were assessed using peptide mapping in
10 combination with mass spectrometry, and shown to
11 have identical amino acid sequences. The
12 analytical similarity of the tertiary structures
13 was demonstrated using three separate approaches.

14 First, the TNFR2 region of GP2015 and U.S.
15 Enbrel were co-crystallized with TNF and their
16 structures were determined using x-ray
17 crystallography. The resulting models, shown on
18 the right, are superimposable, and they are
19 structurally equivalent.

20 Secondly, 1D-NMR was used to compare the
21 3-dimensional structure of GP2015 and U.S. Enbrel.
22 Although 1D-NMR cannot be used to determine the

1 structure of large complex proteins, the spectra
2 can be compared and similar NMR spectra demonstrate
3 the two products have similar 3-dimensional
4 structures.

5 Overlaid traces are shown at the bottom of
6 the slide with GP2015 in blue and US-licensed
7 Enbrel in red. Again, no significant differences
8 are evident.

9 Thirdly, hydrogen-deuterium exchange was
10 used to compare GP2015 and US-licensed Enbrel. The
11 primary sequence and heat map for GP2015 and
12 US-licensed Enbrel are shown on the right. The
13 underlying principle of this method is that the
14 backbone amide hydrogens can exchange with
15 deuterium at measurable rates when a protein is
16 incubated with heavy water.

17 The rate of exchange for the process is
18 highly dependent on the local structural
19 environment. For example, amide hydrogens in a
20 disordered region exchange faster than ordered and
21 structured regions.

22 The heat map displays the exchange rate at

1 each position, and the intensity of the color
2 increases when the exchange rate is high. Analysis
3 of the data showed that similar patterns and
4 differences existed between the two molecules at
5 less than 1 dalton. Therefore, high order
6 structure similarity was demonstrated using three
7 different approaches.

8 Disulfide bonds play a significant role in
9 folding and maintaining the tertiary structure of
10 protein. This schematic shows the amino acid
11 sequence for the TNFR2 region. The individual
12 cysteines, along with the disulfide bonds, are
13 shown in yellow.

14 Etanercept has a total of 13 intrachain and
15 3 interchain disulfide bonds. The disulfide bonds
16 were identified using non-reducing peptide mapping
17 and confirmed using data from the crystal
18 structure. In addition, etanercept contains
19 misfolded protein, which will now be a focus of my
20 discussion.

21 Reverse phase chromatography was used to
22 analyze GP2015 in Enbrel. A representative

1 chromatogram is shown here. It consists of a main
2 peak followed by a post peak. The major component
3 of the post peak is etanercept, which has wrongly
4 bridged to disulfide variants, abbreviated in the
5 slides as WBV.

6 A comparison of the GP2015 lots with U.S.
7 and EU Enbrel lots was undertaken using reverse
8 phase chromatography. GP2015 has significantly
9 lower amounts compared to the U.S. and the EU
10 Enbrel lots.

11 The misfolded component can be separated
12 using either reverse phase chromatography or
13 hydrophobic interaction chromatography. Based on
14 the data submitted by Sandoz using the reverse
15 phase chromatography, it was shown that U.S. and
16 EU Enbrel contain 10 to 18 percent of the post
17 peak, while GP2015 contains 9 to 12 percent.

18 The ribbon diagram shown on the right shows
19 the binding interaction between the TNF receptor
20 domain, shown here in blue, and the TNF, shown here
21 in green. The disulfide bond, shown in the circle,
22 is one of the correct disulfide bonds that is in

1 close proximity to the TNF binding site.

2 All wrongly bridged disulfide variants were
3 identified in GP2015 and U.S. Enbrel, and they are
4 shown on the left. The wrongly bridge variants
5 shown in the red box is the non-reduced peptide
6 terms T7. The majority of the wrongly bridged
7 disulfide variants, including the T7 peptide, are
8 also located in the circled area, and potentially
9 could affect the bioactivity of etanercept.

10 Sandoz used the T7 peptide as a surrogate to
11 quantify the levels of misfolded etanercept that
12 were present in GP2015, US-licensed Enbrel, and
13 EU-approved Enbrel. The T7 peptide is quantified
14 relative to an internal peptide following
15 proteolytic digestion, an analysis using reverse
16 phase chromatography in combination with UV
17 detection.

18 Sandoz showed that there is an inverse
19 relationship between the T7 peptide levels and
20 potency using data from the TNF reporter gene
21 assay, as shown in the graph below. The T7
22 peptides are on the X-axis and the TNF bioactivity

1 on the Y-axis.

2 This plot includes lots from GP2015 process
3 intermediates as well as GP2015 drug substance and
4 drug product lots, and U.S. and EU Enbrel lots.
5 High levels of T7 peptide present in a sample
6 correlate with lower bioactivity. These data
7 establish a structure function relationship between
8 the levels of misfolded protein and potency.

9 Based on these results, in conversations
10 with Sandoz, the FDA requested that Sandoz
11 investigate if the wrongly bridged component can
12 refold and form with the correct disulfide bonds.

13 The rationale for this request was based on
14 the growing body of literature about allosteric
15 disulfide bonds. Most disulfide bonds are
16 structural and are important for the correct
17 folding of a protein and maintaining structural
18 integrity.

19 Other disulfide bonds are allosteric, which
20 can control the function of a protein when they're
21 reduced or oxidized. A number of examples of
22 allosteric disulfide bonds have been identified,

1 including members of the tumor necrosis factor
2 receptor superfamily. Two well characterized
3 examples containing allosteric disulfide bonds IgG2
4 and IgG4 antibodies.

5 In the case of IgG2, covalent dimers are
6 formed, as shown here, where there are
7 intermolecular linkages between the two IgG2
8 molecules. In addition, there are examples of
9 disulfide shuffling, which leads to the generation
10 of three different disulfide isomers for different
11 IgG2 molecules.

12 Another example is Fab exchange in IgG4. In
13 this case the Fab-arm exchange occurs between half
14 molecules of different IgG4 antibodies to create a
15 biospecific antibody.

16 Given the examples of proteins that have
17 allosteric disulfide bonds, which are able to
18 refold in vivo, Sandoz was asked to determine if
19 refolding of etanercept occurs after exposure to
20 reducing conditions that are reported to mimic
21 in vivo conditions, and if potency could be
22 restored after this treatment using the TNF

1 reported gene assay.

2 This table shows the data for the T7 peptide
3 levels present in the GP2015 process intermediates
4 drug substance and drug product lots, along with
5 the results from the potency assay that was
6 conducted on the samples before and after treatment
7 with reducing conditions.

8 The control data is shown in the second and
9 third columns, and the fourth and fifth columns are
10 the same lots following exposure to reducing
11 conditions.

12 The GP2015 processing intermediates shown
13 here boxed in red have reduced potency and high
14 levels of T7 peptide. Following incubation under
15 redox conditions, the levels of T7 peptide are
16 reduced, and there's an increase in potency.
17 Similarly, exposure of U.S. and EU Enbrel to redox
18 conditions results in reduced levels of T7 peptide
19 and increased potency.

20 The data provided show that wrongly bridged
21 variants can refold in vitro using experimental
22 system that mimics physiological conditions. The

1 samples before and after redox treatment shown in
2 green and orange were added to the structure
3 function correlation data shown in an earlier
4 slide.

5 Again, the T7 peptide is shown on the X-axis
6 and the bioactivity on the Y-axis. These data show
7 that linear relationship between the T7 peptide
8 levels, and potency was maintained after redox
9 treatment and allowed Sandoz to develop a computed
10 potency model where the potency results were
11 adjusted based on the assumption of correct
12 refolding.

13 Based on the demonstrated structure/function
14 relationship between wrongly bridged variants and
15 potency, and the relevance of the experimental
16 system to physiological conditions, it is likely
17 that similar changes in etanercept folding
18 inactivity occur upon administration to patients.
19 Therefore, the agency accepts that the computed
20 potency model is the most relevant model to assess
21 etanercept potency using the TNF reported gene
22 assay.

1 The following methods were used to measure
2 biological activity were assessed by the agency
3 using two statistical approaches: TNF alpha
4 binding and the TNF alpha neutralization using the
5 reported gene assay, including data from the
6 computed potency model, were assessed by
7 statistical equivalence. TNF neutralization by
8 apoptosis with TNF beta reported gene assay, ADCC,
9 were assessed using quality ranges.

10 The number of lots used for methods assessed
11 by statistical equivalence is shown here. The
12 analysis of TNF neutralization, computed potency
13 data was limited by the number of lots which the
14 level of the T7 peptide present had been
15 determined. Dr. Meiyu Shen will now present the
16 statistical equivalence analysis of the critical
17 poly attributes.

18 **FDA Presentation - Meiyu Shen**

19 DR. SHEN: Good morning. My name is Meiyu
20 Shen, the CMC statistical reviewer from Office of
21 Biostatistics. I am presenting the statistical
22 equivalence analysis for bioactivity.

1 For this submission, the review team focused
2 on two quality attributes that assessed the primary
3 mechanism of action, which is subject to the
4 equivalence test, why is TNF alpha binding and the
5 other is the in vitro TNF alpha reported gene assay
6 for determining bioactivity potency. We also
7 analyzed that the computed TNF alpha RGA data was
8 statistically equivalent analysis.

9 In the equivalence test, the null hypothesis
10 is defined as the mean difference of one quality
11 attribute between the test and the comparator is
12 either larger than 1.5 sigma C or smaller than
13 negative 1.5 sigma C.

14 We concluded that this quality attribute
15 passes equivalence test if 90 percent of the
16 confidence interval falls within the equivalence
17 margin defined in red, plus or minus 1.5 sigma C.
18 Here sigma C is estimated from the comparator
19 product measured by the applicant.

20 This slide presents the data graph for TNF
21 alpha binding. The Y-axis represents TNF alpha
22 binding. The data spreads of GP2015 US-licensed

1 Enbrel and the EU-approved Enbrel are similar; so
2 are the means of three product. TNF alpha binding
3 data are subject to rigorous equivalence testing.

4 This table here presents equivalence test
5 results for TNF alpha binding. The first column
6 the pair for comparison, second is the amount of
7 lot for the pair. The third column is the mean
8 difference between the test and the comparator.
9 Fourth is 90 confidence interval. Next is the
10 equivalence margin. The last column is the
11 conclusion of the equivalence test.

12 As indicated in the table and graphs, the
13 90 percent confidence interval for each of the
14 three pairs falls within corresponding equivalence
15 margin. Hence, all three pairwise comparisons
16 passed equivalence test.

17 Now let us look at the data graph for
18 TNF alpha RGA. The Y-axis represents TNF alpha RGA
19 percent. There are 31 lots of US-licensed Enbrel,
20 19 lots of GP2015, and 43 EU-approved Enbrel. The
21 spread of three product are not similar to each
22 other as shown in the graph. The mean of GP2015

1 is about 10 percent larger than that of US-licensed
2 Enbrel.

3 Note that all observation of GP2015 falls
4 within the minimum and the maximum US-licensed
5 Enbrel, and also within the minimum and the maximum
6 of EU-approved Enbrel.

7 The table on the top of this slide presents
8 the equivalence test results for TNF alpha RGA.
9 This table is very similar to the table we just
10 discussed for TNF alpha binding. As indicated in
11 the table and the graphs, 90 percent confidence
12 interval for the first pair is not fully contained
13 in the equivalence margin, plus or minus 10.28. So
14 TNF alpha RGA follows equivalence test between
15 GP2015 and US-licensed Enbrel.

16 As discussed by Dr. Adams, an active
17 correlation between wrongly-bridged variants
18 present at T7 and the TNF alpha RGA exist. Based
19 on the applicant's data, US-licensed Enbrel and the
20 EU-approved Enbrel has about 10 to 18 percent
21 wrongly-bridged disulfide bonds.

22 On the other hand, GP2015 has about 9 to

1 12 percent. To adjust the difference in percent of
2 T7 between Enbrel and GP2015 a mathematical model
3 is developed to convert the TNF alpha RGA into the
4 computed TNF alpha RGA.

5 Based on the demonstrated
6 structural/function relationship between
7 wrongly-bridged variant and the potency and the
8 relevance of experimental system to physiological
9 conditions, it is likely that similar changes in
10 etanercept in folding and activity occur upon
11 administration to patients. Therefore, the agency
12 accepts that computed potency model is the most
13 relevant method to assess potency use the TNF alpha
14 reported gene assay.

15 The applicant adjusted TNF alpha RGA by T7
16 level and they computed TNF alpha RGA for 11 lot
17 values of EU-approved Enbrel, 9 lot values of
18 GP2015, and 13 lot values of US-licensed Enbrel.
19 This graph presented the computed TNF alpha RGA for
20 these lots. The spread of computed TNF alpha RGA
21 of GP2015 is the smallest among three products.

22 As shown in the table and graphs, the

1 90 percent confidence interval for each of three
2 pairs falls within the corresponding equivalence
3 margin. Hence, all three pairwise comparisons
4 regarding computed TNF alpha RGA passed equivalence
5 testing.

6 Based on our independent analysis of the
7 applicant data, we conclude that all 3-way
8 comparisons for both TNF alpha binding and the
9 computed TNF alpha RGA pass equivalence testing.
10 Hence, statistical equivalence testing results of
11 pair activity support that GP2015 is highly similar
12 to U.S. Enbrel and support the analytical bridge
13 between three products.

14 Next, Dr. Adams will continue his
15 presentation on quality range analysis.

16 **FDA Presentation - Peter Adams**

17 DR. ADAMS: I'll now continue with the
18 presentation. The following data were assessed
19 using quality range. The apoptosis inhibition
20 assay was used as an orthogonal method to assess
21 TNF alpha neutralization. Data from the assay show
22 that GP2015 is within the quality ranges that were

1 established by US-licensed Enbrel. Similarly, for
2 the TNF beta reported gene assay, GP2015 was within
3 the quality range established by US-licensed
4 Enbrel.

5 Currently, currently the approved TNF
6 antagonist, other than etanercept, include three
7 intact monoclonal antibodies: infliximab,
8 adalimumab, golimumab, and the antibody fragment
9 certolizumab, a PEGylated Fab, which does not have
10 an Fc region.

11 All of the TNF antagonists are effective in
12 treating RA. Because GP2015 has an Fc region, the
13 agency expects that the Fc effect on function will
14 be assessed as part of the analytical similarity
15 exercise.

16 Bioassays, which assess effect of function,
17 include ADCC and CDC. Several published represents
18 demonstrate that etanercept is not as effective at
19 inducing ADCC or CDC compared to the intact
20 antibodies. This may be due to the fact that
21 etanercept binds only one molecule, soluble
22 membrane TNF, whereas the intact antibodies bind

1 multiple molecules.

2 Sandoz assessed both ADCC and CDC, and they
3 showed that CDC was similar among GP2015 and U.S.
4 Enbrel and EU Enbrel. Initial data provided by
5 Sandoz showed differences in the ADCC activity
6 among GP2015, U.S. and EU Enbrel. This is due to
7 differences in afucosylated glycans in the Fc
8 portion of the molecule.

9 GP2015 has lower levels of afucosylated
10 glycans compared to Enbrel. There was a
11 non-structure function relationship between
12 afucosylated Fc glycans and enhanced affinity for
13 the Fc gamma RIIIa receptor that results in
14 enhanced ADCC activity. Products with lower levels
15 of afucosylated glycans will have lower ADCC
16 activity. GP2015 has lower levels of afucosylated
17 Fc glycans bind to the gamma RIIIa receptor and
18 ADCC activity.

19 Subsequently, Sandoz provided data comparing
20 ADCC activity of GP2015, U.S. and EU Enbrel, two
21 intact monoclonal antibody TNF antagonists, and a
22 control monoclonal antibody whose primary mechanism

1 of action is via the Fc effective function. The
2 ADCC assay shown in this slide uses a natural
3 killer cell line and targets cells which
4 overexpress membrane TNF.

5 Based on literature reports, ADCC is not
6 thought to play a role in the mechanism of action
7 of Enbrel, and the data submitted by Sandoz are
8 consistent with these reports. As could be seen,
9 although GP2015 has lower activity than Enbrel, and
10 the ADCC activity GP2015 is lower than that of the
11 anti-TNF antibodies, all are much lower than the
12 control antibody.

13 Additional data were provided using more
14 physiologically relevant system using primary
15 monocytes that have been stimulated with LPS to
16 express membrane-bound TNF. ADCC levels of GP2015,
17 U.S. and EU Enbrel were compared with alemtuzumab,
18 or Lemtrada, which target CD52. Clearly
19 alemtuzumab is more effective in inducing ADCC
20 compared to GP2015 or Enbrel in this system as
21 well.

22 These data support that etanercept is not as

1 effective at inducing ADCC compared to the anti-TNF
2 antibodies or other monoclonal antibodies whose
3 primary mechanism of action is through Fc effect to
4 function.

5 Based on the analysis of all the analytical
6 data, including the statistical analyses, we
7 conclude that for individual quality attributes
8 listed here, including primary structure, tertiary
9 structure, potency, charge size variants, and most
10 glycoforms, binding assays, and stability
11 profiles -- but the data support the demonstration
12 that GP2015 is highly similar to US-licensed
13 Enbrel.

14 Even though no data are presented today
15 regarding the levels of aggregates, which can be a
16 risk for inducing anti-drug antibodies in patients,
17 both GP2015 and U.S. Enbrel have levels of
18 aggregates typical for therapeutic proteins,
19 although GP2015 has slightly lower levels.

20 For hydrophobic variants, data were provided
21 which showed that the misfolded protein is likely
22 minimized by refolding in vivo. Based on the

1 totality of the analytical data, we conclude that
2 the differences observed for hydrophobic variants,
3 afucosylated Fc glycans, and ADCC do not preclude a
4 demonstration that GP2015 is highly similar to the
5 US-licensed Enbrel.

6 To summarize, an extensive analytical study
7 was undertaken in order to assess analytical
8 similarity. This included functional and
9 bioactivity assays, physiochemical assays, and an
10 assessment of higher order structure. A comparison
11 of US-licensed reference product with GP2015 and
12 EU Enbrel established the analytical portion of the
13 scientific bridge.

14 Therefore, based on the totality of the
15 evidence, the analytical data support the
16 conclusion that GP2015 is highly similar to the
17 US-licensed reference product. This concludes my
18 presentation.

19 **FDA Presentation - Yunzhao Ren**

20 DR. REN: Good morning. My name is Yunzhao
21 Ren, the clinical pharmacology reviewer of GP2015
22 program. First, I will give a brief overview of

1 the clinical pharmacology program of GP2015.

2 There are two goals of the clinical
3 pharmacology program. The first is to evaluate the
4 pharmacokinetic similarity between GP2015 and
5 US-licensed Enbrel. And the second is to assess
6 the PK element of the scientific bridge between
7 GP2015, US-licensed Enbrel, and EU-approved Enbrel.

8 In total, the applicant conducted three
9 related PK studies, one cross-study comparison and
10 trough serum concentration assessment in a
11 comparative clinical study to support the
12 scientific bridge between GP2015, US-licensed
13 Enbrel, and EU-approved Enbrel.

14 In brief, our assessments show that the PK
15 similarity was demonstrated between GP2015 and
16 US-licensed Enbrel, and PK bridge was established
17 between GP2015, US-licensed Enbrel, and EU-approved
18 Enbrel.

19 I would like to introduce this triangle from
20 regulatory point of view. Again, because a
21 non-U.S. reference product was used in clinical
22 comparative study 302, we required the applicant to

1 provide a scientific bridge between GP2015,
2 US-licensed Enbrel, and EU-approved Enbrel to
3 justify the relevance of the comparative data
4 generated by EU-approved product in study 302.

5 As the first step to provide the PK element
6 of the scientific bridge between three products,
7 the applicant conducted predefined two head-to-head
8 studies and one cross-study comparison.

9 Study 102 was to compare the PK similarity
10 between GP2015 and US-licensed Enbrel. Study 101
11 was to construct the PK bridge between GP2015 and
12 EU-approved Enbrel. And report 105 was to
13 construct the PK bridge between EU-approved Enbrel
14 and US-licensed Enbrel in a cross-study fashion.
15 In a later slide, I will explain why this approach
16 is acceptable from a clinical pharmacology point of
17 view.

18 However, during this first step, study 101
19 did not meet the prespecified criterion, which the
20 lower boundary of 90 percent confidence interval of
21 AUC ratios were off by 2 percent. Therefore, upon
22 EMA's request, another study, study 104, was

1 conducted three years later to construct the PK
2 bridge between GP2015 and EU-approved Enbrel.

3 Following, let me introduce the study design
4 of the head-to-head studies 101 and 102, and the
5 cross-study comparison report 105 as a whole. The
6 study design of studies 101 and 102 was identical.
7 Both of them were randomized, double-blind,
8 two-week crossover, single dose studies in healthy
9 males and females. In addition, the two studies
10 shared the identical inclusion/exclusion criteria,
11 clinical unit, bioanalytical method, and the same
12 batch of GP2015.

13 According to the time line, two studies have
14 2 months overlap in the same clinical unit. All
15 these head-to-head characteristics made the
16 predefined cross-study comparison report 105 more
17 like a parallel group comparison.

18 Results from study 102 show that the
19 boundaries of 90 confidence interval of Cmax and
20 AUC ratios were all within the prespecified PK
21 similarity margin indicating that PK similarity was
22 demonstrated between GP2015 and US-licensed Enbrel.

1 However, study 101 did not meet the
2 prespecified criterion as the lower boundaries of
3 90 confidence interval of AUC ratios between GP2015
4 and EU-approved Enbrel were off by 2 percent. The
5 applicant attributed this to the operator's effect.
6 Here, operator is the person who administered the
7 subcutaneous injection. For some subjects in this
8 study, different operators administered different
9 product during different periods.

10 Results from cross-study comparisons show
11 that PK bridge was established between US-licensed
12 Enbrel and EU-approved Enbrel as the boundaries of
13 90 percent confidence interval of Cmax and AUC
14 ratios were all within the prespecified criterion.

15 Because study 101 did not meet the
16 prespecified criterion, the applicant conducted
17 study 104 three years later to help construct the
18 missing bridge between GP2015 and EU-approved
19 Enbrel. The study design was similar to that of
20 study 101 except the following differences.

21 First, only male subjects were enrolled in
22 study 104 to reduce the PK variability. Second,

1 the same operator was assigned for each individual
2 subject during both study periods to eliminate the
3 operator's effect. Third, the batches of GP2015
4 and EU-approved Enbrel were different between two
5 studies. And finally, the bioanalytical methods
6 were different between study 101 and 104, though
7 both of them are validated methods.

8 The results show that PK bridge was
9 established between GP2015 and EU-approved Enbrel,
10 and the boundaries of 90 percent confidence
11 interval of Cmax and AUC ratios were all within the
12 prespecified criteria.

13 In addition, PK at a steady state was
14 compared between GP2015 and EU-approved Enbrel in
15 comparative clinical study 302. Pre-dose serum
16 concentrations were collected from 147 patients at
17 day 1 and at week 2, 4, 8, and 12. To be noted,
18 the patients are following a twice-a-week dosing
19 regimen.

20 The steady state appeared reached from
21 week 2 for both products. The geometric mean of
22 trough serum concentration was comparable at each

1 time point between two products from week 2 to
2 week 12.

3 In summary, the PK similarity has been
4 demonstrated between GP2015 and the US-licensed
5 Enbrel. PK data also support a scientific bridge
6 between GP2015, US-licensed Enbrel, and EU-approved
7 Enbrel to justify the relevance of comparative data
8 generated using EU-approved Enbrel from study 302.
9 This slide concludes my presentation, and now I
10 would turn the podium to Dr. Fritsch.

11 **FDA Presentation - Kathleen Fritsch**

12 DR. FRITSCH: Good morning. My name is
13 Kathleen Fritsch, and I am the biostatistics
14 reviewer for this application. I will be
15 presenting the results for study 302, the
16 comparative clinical study in subjects with
17 moderate to severe psoriasis.

18 Study 302 had three parts. The first part
19 evaluated the similarity of GP2015 and EU-approved
20 Enbrel in 531 subjects with moderate to severe
21 psoriasis. The primary endpoint was PASI 75, which
22 is at least a 75 percent reduction from baseline in

1 the PASI score. And the secondary endpoints were
2 the percent change in PASI and response on the
3 investigator's global assessment.

4 Subjects with at least 50 percent
5 improvement in PASI at week 12 continued on to the
6 second treatment period where subjects were
7 randomized to either continue the original assigned
8 treatment or switch treatments three times at
9 6-week intervals. At week 30, subjects continued
10 the same treatment they were on at the previous
11 interval through week 52.

12 The primary endpoint was PASI 75 at week 12.
13 The statistical analysis plan proposed different
14 analysis methods for this endpoint than the
15 original protocol did. The protocol stated that
16 the primary endpoint would be analyzed with exact
17 confidence intervals for binomial endpoints.

18 The statistical analysis plan modified this
19 proposal to specify that the confidence intervals
20 would be based on estimates from a logistic
21 regression analysis adjusted for the stratification
22 factors of body weight and prior systemic therapy

1 for psoriasis.

2 The protocol specified 95 percent confidence
3 intervals, but FDA has generally recommended using
4 90 percent confidence intervals in comparative
5 clinical studies, which corresponds to a type 1
6 error rate of 5 percent. So this presentation will
7 focus on the 90 percent intervals.

8 The prespecified similarity margin was plus
9 or minus 18 percent. The primary analysis
10 population was the per-protocol set and the
11 analysis based on the full-analysis set was
12 supportive. Missing data in the full-analysis set
13 was handled using non-responder imputation.

14 The key analysis issue that arose in the
15 review of the study was the handling of the
16 classification of subjects based on their prior
17 systemic therapies for psoriasis. Prior therapy
18 either none, any prior systemic therapy except TNF
19 alpha inhibitors, or prior use of TNF alpha
20 inhibitors was a stratification factor and part of
21 the analysis model.

22 The guidance provided to the investigators

1 on how to appropriately classify the subjects
2 according to this was vague, leading to subjects
3 whose stratification classification did not match
4 other data on the case report forms. Therefore,
5 the applicant attempted to reclassify subjects
6 based on the data from the CRFs.

7 However, between the initial database lock,
8 which was conducted after week 12, and the second
9 database lock, after week 30, the applicant changed
10 their viewpoint on whether certain therapies, such
11 as phototherapy or analgesics for psoriasis pain,
12 should be considered systemic therapies for
13 psoriasis.

14 The prior therapy classification was
15 important to the analysis because the applicant's
16 final analysis plan included the prior therapy
17 classification as a factor in the model. The
18 applicant submitted an analysis based on both
19 versions of the prior therapy classification into
20 their BLA.

21 In study 302, approximately 4 percent of the
22 subjects discontinued during the first 12 weeks of

1 the study. Most common reasons for discontinuation
2 were adverse events and subject decision.

3 For the primary endpoint of PASI 75 at
4 week 12, the response rate on the GP2015 arm was
5 about 1 to 2 percent lower than on the EU-approved
6 Enbrel arm in both full-analysis population and the
7 per-protocol population.

8 This table presents exact confidence
9 intervals, which is the analysis method originally
10 specified in the protocol, and does not rely on how
11 subjects were classified with regard to prior
12 therapies. The 90 percent confidence intervals
13 range from about minus 9 percent to plus 6 percent,
14 and for both populations fall within the
15 prespecified margin of 18 percent.

16 For comparison, this table presents the
17 PASI 75 results using the logistic regression model
18 adjusted for prior therapy and weight as the
19 applicant specified in the statistical analysis
20 plan. This table presents all three ways that the
21 applicant classified subjects with regard to the
22 prior therapies: the information used in the

1 stratification; the first actual therapy
2 reclassification; and the second actual therapy
3 reclassification, and these analyses used the
4 full-analysis set.

5 The way in which the prior therapy
6 classification is defined has only a very minor
7 impact on the results, with estimates and
8 confidence bound shifting by only a couple of
9 tenths of a percent. The results of all three
10 covariate adjusted analyses are very similar to the
11 exact confidence intervals showed on the previous
12 slide with the covariate adjusted analysis having
13 slightly narrower confidence intervals. The
14 results in the per-protocol population are similar.

15 Thus, the conclusions are the same whether
16 the unadjusted or adjusted confidence intervals are
17 used, and also for all definitions of prior therapy
18 classification.

19 The results for the secondary endpoints of
20 percent improvement in PASI and achieving response
21 of clear or almost clear on the IGA at week 12 are
22 similar to those for the primary endpoint. For

1 simplicity, I have presented only the week 12
2 results for the percent improvement in PASI
3 endpoint rather than the results averaged across
4 weeks 2, 4, 8, and 12, which were the protocol
5 specified analyses. For these two endpoints,
6 GP2015 had slightly better outcomes than
7 EU-approved Enbrel.

8 To interpret a study like study 302 that
9 does not include a placebo arm, we need to be
10 confident that the study satisfies key assumptions,
11 such as assay sensitivity, which is the ability to
12 detect meaningful differences if they were to
13 exist. In addition, we want to be assured that the
14 study was not conducted in a manner that could bias
15 the results towards similarity, and that the
16 specified margin was appropriate.

17 We looked at the proposed margin in two
18 ways. First, we looked at the percentage of the
19 treatment effect from historical studies that was
20 preserved, which would be relevant to the lower
21 bound. This was the approach used by the applicant
22 to justify the margin. Second, we looked at the

1 relationship between the proposed margins and
2 sample size with respect to study power.

3 To assess assay sensitivity, we compared the
4 inclusion criteria and results of study 302 to the
5 published results of placebo-controlled studies of
6 Enbrel. The inclusion criteria in study 302 were
7 comparable to the two phase 3 Enbrel studies,
8 denoted as Leonardi and Papp.

9 The PASI 75 response rate in study 302 was
10 higher than what was observed in the published
11 studies. However, this high response rate does not
12 represent a loss of efficacy relative to the
13 published studies, and the assay sensitivity
14 assumption appears reasonable.

15 Ideally, we could just select an appropriate
16 margin that represents broad agreement of what
17 magnitude of differences are not clinically
18 meaningful. However, in practice, there will
19 usually be tensions between reasonable sample sizes
20 and a preference for narrow margins. In the end,
21 we would like to have a margin that is both
22 clinically meaningful as well as practically

1 feasible.

2 The applicant used the estimated treatment
3 effect differences from the two published studies,
4 which are each approximately 45 percent, to justify
5 the proposed margin. Using percent preservation of
6 effect, the applicant's proposed margin of
7 18 percent retains approximately 60 percent of the
8 treatment effect of Enbrel relative to placebo, as
9 represented in the two published studies.

10 The idea behind preserving a substantial
11 percentage of the treatment effect relative to
12 placebo in non-inferiority studies is to ensure
13 that the test product would maintain at least some
14 benefit relative to placebo. However, the goal of
15 the comparative clinical study is to support the
16 demonstration of no clinically meaningful
17 differences. Therefore, we also evaluated the
18 relationship between the proposed margin and study
19 power using the study design characteristics of the
20 protocol, which included a planned sample size of
21 546 subjects, and expected a PASI 75 response rate
22 of 49 percent on both treatment arms.

1 From this plot, we can see that under the
2 design characteristics used to plan the study, if
3 there's truly no difference in response between the
4 two treatments, that the study would have at least
5 90 percent power, represented by the gray bar, for
6 margins of about 15 percent or larger.

7 FDA concurred with the applicant's proposed
8 margin of plus or minus 18 percent at the design
9 stage, and we note that study 302 would meet
10 similarity criteria for any bounds of magnitude of
11 about 10 percent or larger.

12 In summary, for study 302, the estimated
13 treatment difference for PASI 75 in the
14 full-analysis population was minus 1.1 percent with
15 an exact 90 percent confidence interval of minus
16 8.3 percent up to plus 6 percent. The study met
17 its agreed upon prespecified similarity criteria of
18 18 percent.

19 The results were also consistent across
20 study populations, the handling of prior therapy
21 classification, and analysis methods. The
22 secondary endpoints had outcomes consistent with

1 the primary endpoint. Thus, study 302 supports a
2 demonstration of no clinically meaningful
3 differences between GP2015 and US-licensed Enbrel.

4 **FDA Presentation - Rachel Glaser**

5 DR. GLASER: Good morning. My name is
6 Rachel Glaser. I will be discussing the safety and
7 immunogenicity results from the clinical program
8 for GP2015, as well as the considerations for
9 extrapolation. I would like to acknowledge that
10 the review of this application was a collaborative
11 effort among multiple disciplines and subject
12 matter experts, including our dermatology
13 colleagues.

14 We acknowledge the study design of the
15 clinical study in patients with psoriasis includes
16 multiple switching periods. However, the BPCI Act
17 does not encompass the concept of switching or
18 alternating between the proposed product and the
19 reference product for biosimilar products.

20 This concept is a part of the statutory
21 definition of interchangeability. As such, the
22 data to support a demonstration of biosimilarity

1 that is the focus of the FDA review includes
2 treatment period 1 in subjects who undergo a single
3 transition from the reference product to GP2015.

4 While these are additional data that Sandoz
5 has presented involving multiple switches, that
6 data is not expected as a part of demonstrating
7 biosimilarity. However, because the data was
8 provided by Sandoz, FDA did review the pooled
9 safety and immunogenicity data from the multiple
10 switches.

11 The bulk of the safety data is derived from
12 clinical studies using EU-approved Enbrel as a
13 comparator. As previously discussed, the applicant
14 has established a scientific bridge to justify the
15 relevance of the safety data generated using
16 EU-approved Enbrel in the GP2015 program.

17 The safety population in the clinical
18 program comprised over 700 individuals, including
19 healthy subjects and patients with plaque
20 psoriasis. Overall, the safety database is
21 adequate to provide a reasonable comparative safety
22 and immunogenicity assessment. The safety analysis

1 did not identify any new safety signals compared to
2 the known safety profile of Enbrel.

3 The types and incidences of
4 treatment-emergent adverse events, serious adverse
5 events, and adverse events leading to
6 discontinuation were similar. The most common
7 treatment-emergent adverse events were infections,
8 and the most common infections were pharyngitis and
9 nasopharyngitis.

10 A single death occurred in the development
11 program in a patient who received EU-approved
12 Enbrel and experienced cardiopulmonary failure.
13 There were no cases of anaphylaxis reported in the
14 development program.

15 There was a low incidence of anti-drug
16 antibodies, or ADA, in both the GP2015 and
17 EU-approved Enbrel treatment groups. The ADA
18 incidence did not increase following a single
19 transition from EU-approved Enbrel to GP2015.

20 This table provides an overview of the
21 safety profile in the core control studies. As
22 described by Dr. Fritsch, in study 302, patients

1 were randomized to GP2015 or EU-approved Enbrel.
2 At week 12, those patients with a PASI 50 or
3 greater response were re-randomized to continue
4 their originally assigned treatment or to undergo
5 switching between the two products.

6 Those that switched from EU-approved Enbrel
7 to GP2015 at the start of treatment period 2 are
8 designated switched to Enbrel, while those who
9 switched from GP2015 to EU-approved Enbrel at the
10 start of treatment period 2 are designated switched
11 GP2015.

12 In each study, the overall incidences of
13 treatment-emergent adverse events, serious adverse
14 events, adverse events leading to discontinuation,
15 and adverse events of special interest, were
16 similar between GP2015 and the comparator products.

17 Serious adverse events were rare and did not
18 cluster into any treatment group. As mentioned,
19 there was one death due to cardiopulmonary failure
20 in a patient with diabetes receiving EU-approved
21 Enbrel. There were no other deaths in the
22 development program. In the infections and

1 infestation system organ class, there were events
2 of appendicitis, pneumonia, diverticulitis, and
3 tonsillitis. These events were distributed across
4 the different treatment groups. One patient in the
5 EU-approved Enbrel group developed drug-induced
6 liver injury.

7 In the GP2015 treatment group, there was one
8 event of malignancy, a report of malignant melanoma
9 in situ that was excised prior to the start of
10 study treatment with GP2015, however the results
11 were available only after initiation of study drug.
12 There were no serious adverse events reported in
13 the healthy subject studies.

14 In the context of the known adverse event
15 profile of US-licensed Enbrel, potential and
16 identified risk, defined by preferred terms
17 encompassing all of the special warnings and
18 precautions listed in the labeling for Enbrel, were
19 considered adverse events of special interest.
20 Adverse events of special interest were not defined
21 for the single dose healthy subject studies.

22 This table provides a summary of the adverse

1 events of special interest observed in the
2 comparative clinical study in psoriasis. Overall,
3 adverse events of special interest were rare. In
4 the neoplasm system organ class, there was one
5 event of malignant melanoma in situ, as previously
6 discussed, excised prior to the start of study
7 treatment with GP2015. Other events in this SOC
8 were not malignant in nature.

9 In the infections and infestations SOC, the
10 groups were generally similar with regard to
11 incidence of treatment-emergent adverse events at
12 the preferred term level. There was one case of
13 facial swelling in the EU-approved Enbrel group in
14 treatment period 1, and there were two reports of
15 urticaria, one event in the continued Enbrel group,
16 and one in the switched GP2015 group in treatment
17 period 2. There were no reports of anaphylaxis.

18 Comparison of GP2015 and EU-approved Enbrel showed
19 no notable differences between the treatment groups
20 with respect to adverse events of special interest.

21 Immunogenicity is an important part of the
22 safety analysis of any therapeutic protein product

1 or a biologic. Generally, immunogenicity
2 assessment of a proposed biosimilar product is an
3 expected component of 351(k) licensing
4 applications.

5 Anti-drug antibodies mediate immune
6 reactions that are frequently observed with
7 biologics and can impact PK, efficacy, and safety,
8 such as hypersensitivity reactions and anaphylaxis.
9 While anti-drug antibodies against Enbrel have not
10 been correlated with reduced clinical efficacy or
11 adverse events, this is a theoretical risk.

12 Therefore, in the GP2015 development
13 program, immunogenicity of GP2015 was prospectively
14 assessed in the studies in patients with plaque
15 psoriasis and healthy subjects. Assessment of
16 anti-drug antibody incidence and multiple time
17 points in clinical study populations reflects the
18 proposed chronic administration of GP2015.

19 In the control study 302, the rates of
20 immunogenicity assessed as the proportion of ADA
21 positive patients at all time points, were low.
22 Using a sensitive and drug-tolerant assay, no

1 patients receiving GP2015 had detectable ADA, while
2 5 patients receiving EU-approved Enbrel had ADA.

3 The anti-drug antibodies were
4 non-neutralizing and occurred within the first
5 4 weeks of treatment, and subsequently resolved.
6 No additional ADA were detected up to week 30, and
7 there was no increase in ADA after the transition
8 at week 12.

9 In conclusion, with respect to
10 immunogenicity, similar immunogenicity was observed
11 between GP2015 and EU-approved Enbrel in psoriasis
12 patients. As previously noted, an analytical
13 bridge, including analysis of product quality
14 attributes that could potentially impact
15 immunogenicity, has been established between
16 GP2015, EU-approved Enbrel, and US-licensed Enbrel.
17 Therefore, the data from the immunogenicity studies
18 adds to the totality of evidence to support a
19 demonstration of no clinically meaningful
20 differences between GP2015 and US-licensed Enbrel.

21 In summary, safety outcomes, including
22 immunogenicity, were similar between patients

1 treated with GP2015 or comparator products. No new
2 safety signals were identified in the GP2015
3 clinical program compared to the known safety
4 profile of Enbrel. The safety and immunogenicity
5 results add to the totality of evidence to support
6 the demonstration of no clinically meaningful
7 differences between GP2015 and US-licensed Enbrel.

8 In the next few minutes, I will provide an
9 overview of the scientific justification provided
10 by the applicant to support that there are no
11 clinically meaningful differences across the
12 indication sought for licensure.

13 Sandoz is seeking licensure of GP2015 for
14 the same indications for which U.S. Enbrel is
15 licensed. The clinical program, however, provides
16 clinical efficacy and safety data, primarily from
17 clinical studies in patients with psoriasis.

18 As a scientific matter, the agency has
19 determined that it may be appropriate for a
20 biosimilar product to be licensed for one or more
21 additional indications for which the reference
22 product is licensed based on data from a clinical

1 study or studies performed in only one indication,
2 such as plaque psoriasis. This concept has
3 previously been introduced as extrapolation.

4 To better illustrate this, I will compare
5 and contrast the standalone drug development versus
6 the biosimilar development program.

7 The goal of standalone development programs
8 for innovator biological products is to demonstrate
9 that the product is safe and effective. Drug
10 development starts with preclinical research, moves
11 to phase 1, then 2, and culminates in phase 3
12 pivotal trials to demonstrate safety and efficacy.
13 This is the model of drug development that most
14 individuals are familiar with.

15 In contrast, in the biosimilar development
16 pathway, the goal is to demonstrate high similarity
17 and no clinically meaningful differences between
18 the proposed biosimilar product and the reference
19 product, with analytical similarity being the
20 foundation of this assessment.

21 The goal is not to independently establish
22 safety and effectiveness of the proposed

1 biosimilar, which represents a different paradigm
2 in drug development, which we would like the
3 committee to consider.

4 In the demonstration of biosimilarity, an
5 applicant may also include extrapolation of data
6 with appropriate scientific justification, which
7 should address issues like potential differences in
8 mechanism of action, pharmacokinetics, and
9 biodistribution, immunogenicity, and safety for
10 each indication.

11 Further, the FDA has also determined that
12 differences between indications do not necessarily
13 preclude extrapolation, but any differences need to
14 be appropriately addressed. In this context, to
15 support the extrapolation of data on biosimilarity
16 across indications, the applicant provided a
17 comprehensive data package to address these
18 scientific considerations.

19 First, the applicant provided data to
20 support the demonstration that GP2015 is highly
21 similar to US-licensed Enbrel with respect to
22 primary, secondary, and higher order structures,

1 post-translational profile, and in vitro functional
2 characteristics, purity, stability, and potency,
3 including TNF alpha binding and neutralization.

4 Further, the clinical data submitted support
5 the demonstration that no clinically meaningful
6 differences exist between GP2015 and US-licensed
7 Enbrel based on similar clinical pharmacokinetics,
8 similar efficacy, safety, and immunogenicity in
9 plaque psoriasis, using the approved dosing
10 regimen.

11 Next, consistent with the principles
12 outlined in the FDA guidance documents, and
13 previously discussed by the FDA, the applicant
14 provided scientific justification for extrapolation
15 of data to support that there are no clinically
16 meaningful differences for the additional
17 indications sought for licensure.

18 Next, I will summarize the scientific
19 considerations for extrapolation of data specific
20 to rheumatoid arthritis, psoriatic arthritis,
21 ankylosing spondylitis, and juvenile idiopathic
22 arthritis.

1 The primary mechanism of action of Enbrel is
2 through inhibiting binding of soluble TNF alpha to
3 self-surface receptors, thus inhibiting signal
4 transduction and adhesion molecule expression.

5 The scientific literature indicates that
6 this mechanism of action is the primary mechanism
7 of action in psoriasis, rheumatoid arthritis,
8 psoriatic arthritis, ankylosing spondylitis, and
9 juvenile idiopathic arthritis.

10 The data provided by the applicant showed
11 similar TNF binding and potency to neutralize TNF
12 alpha, supporting the demonstrating of analytical
13 similarity pertinent to this mechanism of action.
14 Further, based on the totality of the data
15 demonstrating analytical high similarity, PK
16 similarity and no clinically meaningful differences
17 in psoriasis between GP2015 and EU-approved Enbrel,
18 similar PK safety and immunogenicity profiles are
19 expected between GP2015 and US-licensed Enbrel in
20 patients with rheumatoid arthritis, psoriatic
21 arthritis, ankylosing spondylitis, and juvenile
22 idiopathic arthritis.

1 Therefore, based on the above
2 considerations, the agency believes it is
3 reasonable to extrapolate data to support that
4 there are no clinically meaningful differences for
5 rheumatoid arthritis, psoriatic arthritis,
6 ankylosing spondylitis, and juvenile idiopathic
7 arthritis between GP2015 and US-licensed Enbrel.

8 In summary, the totality of the data
9 submitted by the applicant supports a demonstration
10 that GP2015 is highly similar to US-licensed
11 Enbrel, and there are no clinically meaningful
12 differences between GP2015 and US-licensed Enbrel.
13 The data submitted in the BLA support licensure of
14 GP2015 for the indications for which U.S. Enbrel is
15 licensed, and for which Sandoz is seeking licensure
16 for GP2015.

17 On behalf of the FDA presenters, I wish to
18 acknowledge our colleagues from multiple divisions
19 and review disciplines who put a lot of work and
20 effort into the review of this application in
21 preparation for today's meeting. We also wish to
22 thank the advisory committee members for your

1 attention and look forward to your discussion and
2 comments.

3 **Clarifying Questions to the FDA**

4 DR. SOLOMON: Okay. It's now open for
5 clarifying questions. Dr. Mager?

6 DR. MAGER: Thank you. Just two questions.
7 The first, the briefing documents mention the
8 mathematical model for the correction of the
9 T7 percent. Was that anything more than simple
10 regression?

11 DR. ADAMS: No. That's just regression.

12 DR. MAGER: Okay. I just wanted to clarify
13 how that was related. Also, I wanted to just ask
14 about the differences between study 101 and 104. I
15 think many of the differences that were
16 highlighted, the selection of males only, the same
17 operator, et cetera, really did go towards the
18 intra-subject variability and reduced it almost in
19 half. But I don't think those things would
20 necessarily explain the double, almost double
21 exposure that was observed.

22 So that leads me to maybe the assay that was

1 used. Could we have some information about what
2 the differences in the assays were and which assay
3 was used for 302?

4 DR. NIKOLOV: I think we'll give the
5 opportunity to the sponsor to answer maybe this
6 question, since they're most familiar with the
7 data.

8 DR. REN: You want me to go first?

9 DR. POETZL: My name is Johann Poetzl,
10 clinical bioanalytics, Sandoz. So there was a
11 certain period of time between the 101, 102 and the
12 103, 104 study. And what happened in this time
13 period is that the reference material expired,
14 which was used in 101 and 102, and therefore the
15 reference material has to be renewed. The
16 reference material is used for the generation of
17 the calibration curve. So all samples which are
18 quantified in the clinical study are quantified
19 against this calibration curve.

20 We were aware of that, and therefore we
21 decide to do a full validation of the assay set up
22 used for 103 and 104 study before we start the

1 analysis. And this validation was successful
2 according to the guidelines from FDA and EMA on
3 bioanalytical assay validation.

4 Therefore, within each the studies, the
5 correctness and validity of the results is ensured.
6 And as we used the identical assay in 101 and 102,
7 a cross comparison can be done, but the 103 and 104
8 a different assay setup was used. And this is the
9 reason why numerical differences occurred between
10 101, 102, and 104 study.

11 I have another slide. Can you go to my
12 slide, page 16? Okay, here. There were near
13 two-fold numerical differences of the PK
14 parameters, especially the exposure between study
15 101 and study 104. That is likely due to the
16 change of bioanalytical method, which is summarized
17 in this slide.

18 Here, I want to emphasize, both methods were
19 validated, ELISA assays, and we agree upon that.
20 However, you can see here, one of the key reagents,
21 the detection antibodies were different between two
22 methods. Study 101 used a goat anti-human

1 polyclonal antibody, and study 104 used rat and
2 human monoclonal antibody. So those two detection
3 antibodies were from the third party, not generated
4 by the applicant.

5 In addition to that, the dilution factor of
6 PK samples, the range of the calibration curve, and
7 the lower limit of quantitation [indiscernible]
8 were all very different between those two methods.
9 And it's well known that in the ELISA field, it's
10 quite common to have different results if key
11 reagent changed, such as a detection antibody.
12 Therefore, we consider the results from study 101
13 and 104 -- I mean, from 104, acceptable.

14 DR. MAGER: Okay.

15 DR. SOLOMON: Dr. Margolis?

16 DR. MARGOLIS: Sure, thank you. So one of
17 the issues that was difficult I think for this
18 committee yesterday was extrapolating from one
19 disease to another, which is all part of this
20 process. And my colleague from Philadelphia,
21 Dr. Waldman, used the straw man concept. And I'd
22 like to sort of evoke a similar kind of thing.

1 As Dr. Leonardi mentioned, psoriasis is a
2 common disease. It's fairly prevalent. It's
3 recurrent. It's easy to conduct -- or easier
4 perhaps to conduct the studies because of the
5 length of the study and the availability of using
6 PASI scores and visual readouts.

7 I guess my question is, is of the TNF agents
8 that are available, that have been approved, the
9 biologics, of which there are several, and they
10 affect different pathways, how many of them
11 approved for psoriasis have also been approved to
12 treat rheumatoid arthritis? And of those that
13 haven't, was it because they tried and failed?

14 DR. NIKOLOV: I can only speak to the
15 publicly available information. But to my
16 knowledge, TNF inhibitors act in both rheumatoid
17 arthritis or rheumatic diseases and psoriasis.

18 DR. MARGOLIS: So all the agents that have
19 been approved for psoriasis have also been approved
20 for rheumatoid arthritis in this class?

21 DR. NIKOLOV: Right. So maybe certolizumab
22 was not approved, but whether they were studied,

1 I'm not sure whether I can provide this
2 information.

3 DR. MARGOLIS: Thank you.

4 DR. SOLOMON: Dr. Becker?

5 DR. BECKER: Actually my questions were all
6 addressed by Mr. Mager's question.

7 DR. SOLOMON: Dr. Miller?

8 DR. MILLER: Don Miller. It's been
9 emphasized that the primary mechanism of action for
10 etanercept is binding of TNF alpha, but it also
11 binds TNF beta. I'm just wondering if that
12 mechanism is relevant, and is it more relevant for
13 one disease condition than for another?

14 DR. KOZLOWSKI: We feel that TNF alpha is
15 the primary mechanism, but I think in any case
16 there was data to show that there's inhibition of
17 both TNF alpha and TNF beta. So even if it turned
18 out that TNF beta was some part of this, that was
19 covered in the functional analysis.

20 DR. ADAMS: Two points. One is that the
21 antibodies don't bind lymphotoxin alpha or
22 TNF beta.

1 DR. SOLOMON: Dr. Bilker, and then
2 Dr. Waldman.

3 DR. BILKER: I just wanted to ask a question
4 about the number of lots of the different products.
5 The number of lots for U.S. Enbrel and for EU
6 Enbrel and for GP2015 vary substantially across the
7 different analyses for the analytical outcomes,
8 sometimes being a third of the total batches that
9 were used overall. And large enough sample size is
10 important, especially when trying to show
11 equivalence or non-equivalence.

12 So I'm just wondering why were all the
13 batches not considered for all the analytical
14 outcomes?

15 DR. SOLOMON: Does the applicant have a
16 response?

17 DR. McCAMISH: Mark McCamish. When we set
18 up all the analytics, it's orthogonal in nature,
19 but over time, each lot is not exposed to each one
20 of the analyses. So it's a convenience component
21 of what we're doing at that particular time, and
22 it's very difficult to have all of the 84 lots used

1 in all of the analytics.

2 DR. SOLOMON: Dr. Waldman?

3 DR. WALDMAN: Scott Waldman. Two small
4 clarifying questions, one on PK, one on analytics.
5 The comparison of EU and GP in 101 apparently
6 failed because of operator issues. And I guess my
7 question there is, was the operator issue only for
8 the GP compound and not for the EU compound?
9 Because that study was used as a cross comparator
10 back to, I think, 102 for EU/U.S. comparisons.

11 So my question, is the operator issue that
12 sort of fouled that study only specific for the GP
13 compound? You guys get the question?

14 DR. NIKOLOV: Yes, and I think we'll give
15 the opportunity to the applicant to comment since
16 they provided these analyses.

17 DR. McCAMISH: As this was blinded, it was
18 not only operator for G15, it was for both.

19 DR. WALDMAN: It was for both?

20 DR. McCAMISH: Yes.

21 DR. WALDMAN: But it didn't affect the
22 comparison to the U.S. compound; it only affected

1 the comparison to the GP compound?

2 DR. McCAMISH: It added variability to the
3 evaluation, correct, on both.

4 DR. WALDMAN: Okay. So the second part of
5 the PK question is, the 104 study, males only, does
6 that impact generalizability in terms of the
7 biosimilarity comparison between EU, PK comparison
8 between EU and GP?

9 DR. McCAMISH: A good question. In this
10 sense, what we're trying to do is ask the question
11 if the molecules are different. So what you really
12 want to do is narrow the variability to evaluate
13 that. So in each instance it actually is better to
14 narrow the variability to address the question of
15 similarity.

16 DR. WALDMAN: But it leaves open the
17 question of whether male/female differences will
18 increase the variability and change that
19 comparison.

20 DR. McCAMISH: Right. And in terms of the
21 male/female variability, it does add to the overall
22 variability slightly, but there's not a lot of data

1 showing that difference between genders that it has
2 involved.

3 DR. WALDMAN: Okay. The analytic question
4 goes back to mispaired cysteines, essentially
5 disulfides. Presumably, the misformed disulfides
6 impact the ability of the molecule to bind its
7 target, TNF, and that's why a computation was
8 performed to correct in the RGA comparison.

9 My question has to do with, if that's true
10 for the RGA comparison, why wasn't that generalized
11 to the binding assay comparison, as well as the
12 apoptosis assay comparison? In other words, if
13 these things are affecting the function, it should
14 affect the function across all the functions, not
15 just one specific function.

16 So you sort of wonder, if you did the
17 correction for each of the measurements that you
18 did, would that put one of the measurements back in
19 comparability, but take the other two measurements
20 out of comparability? You see what I'm going for
21 here? I'm just curious.

22 DR. KOZLOWSKI: Steve Kozlowski, FDA.

1 Sandoz can also comment on this. Different assays
2 may have different sensitivities to this. So all
3 the assays actually were within the range of the
4 reference product. Even the RGA assay, if you look
5 at the points, the biosimilar candidate product
6 were all within that range. But some of our assays
7 we expect this standard of statistics, again not
8 pass/fail, but that's what we have. So that
9 revealed a difference in that assay, and that led
10 to wanting to understand it.

11 I actually think it's worthwhile going
12 through the misfolded protein a bit because I think
13 there have been a lot of questions about that. And
14 again, I will describe an FDA perception on this.
15 Sandoz is welcome to add their view.

16 In the data we presented -- and we can go to
17 the slides -- slide 18 in the FDA presentation.
18 This looks at the misfolded protein using
19 reverse-phase chromatography. And you can see,
20 there's around a 10 percent difference, 16-17, 10.
21 Now if you go to slide 32, the difference in
22 potency using this rigorous statistical assay was

1 around 10 percent. So those numbers are not so out
2 of line.

3 The T7 peptide may be a more specific and
4 better assay for this, but overall, misfolded
5 protein and the difference in this particular
6 potency assay seemed to match. Again, the point
7 that even though it failed this initially, it was
8 still within the range of the product.

9 Then the question about refolding. So this
10 is actually a challenge. If you have a product
11 impurity, you want to know does it work or not.
12 And as we don't expect companies making biosimilars
13 to intentionally maintain impurities that happen to
14 have been in the reference product, that doesn't
15 seem like a laudable goal, and we need to really
16 understand what those impurities mean.

17 So the question about whether this misfolded
18 protein, which in this assay showed a difference
19 in vitro, mattered in vivo. So there are examples
20 of refolding protein. IgG2, the example Peter
21 talked about, does change forms.

22 In fact one of the initial papers about

1 that, I think that was one of the ones cited by
2 Sandoz, certainly cited in our evaluation, actually
3 does take patient samples that are purified over
4 time and show, in fact, that this refolding occurs,
5 or this change in folding occurs, and matches that
6 to a particular oxidized and reduced set of thiols
7 that in vitro could mimic that.

8 So although there's not in vivo data with
9 this, there is the concept that simply the level of
10 thiols that are in plasma can refold products.

11 The recalculation, we asked Sandoz not only
12 to assume full refolding, but to assume 50 percent
13 refolding, and it still worked. There is a
14 sensitivity analysis to this in case the refolding
15 actually is not as complete in vivo as we would
16 expect.

17 Furthermore, after they developed the model,
18 we asked them to additionally refold lots and make
19 sure they still fit the model. So there was a
20 certain level of robustness to this.

21 Again, I think it's really the sum of all
22 those things. It was never outside of the range of

1 the reference product completely. We expected to
2 meet a particular statistical goal, which I think
3 was useful because it really informed this
4 question, which was important to understand; is
5 this misfolded material to be considered active or
6 not?

7 Then the judgment of activity, although it
8 didn't have an in vivo component, there are other
9 published examples of where this type of in vitro
10 refolding does match in vivo. The analysis was
11 done assuming this is not 100 percent, and the
12 model at least went through a verification.

13 DR. SOLOMON: Did you want to add anything?

14 DR. McCAMISH: Just one. Thanks,
15 Dr. Kozlowski. And I just have this one slide to
16 show, if we can bring this up. And as we've gone
17 through from a sponsor perspective, I just want to
18 point out the information.

19 If you look at GP2015 on the right-hand
20 side, we're very comfortable it's consistent.
21 We're showing the capability here in terms of a
22 consistent evaluation. And this is one of the

1 challenges for the sponsor. We have to dig down
2 and understand not only our product, but the
3 reference product.

4 So a lot of this was understanding the
5 reference product and how we can then bring that
6 back in and show statistical equivalence. Thank
7 you.

8 DR. SOLOMON: Thank you. Dr. Brittain?

9 DR. BRITTAIN: I have a really big-picture
10 question, again about the whole biosimilarity
11 enterprise. So the idea, as I understand it, is
12 that because you're demonstrating that at an
13 analytical level, everything is essentially the
14 same, that you then presume that at the clinical
15 level, everything will be essentially the same.
16 And again, the extrapolation is based a lot on
17 that.

18 In terms of actually testing that premise,
19 would we, outside the FDA, ever know if that
20 premise was failed? I mean, if someone was testing
21 a biosimilar product and everything looked great at
22 the analytical level, but then when they actually

1 did their clinical trial, it wasn't so good, would
2 we ever know that? I assume you folks would.

3 DR. KOZLOWSKI: There have been papers
4 written about comparability, where comparability
5 exercises have not gone fully forward for a variety
6 of reasons; they've either failed PK or not. So
7 there is literature on that.

8 My sense is, as experience is gained with
9 biosimilars, there will be a sense about that, too.
10 And certainly, we're certainly well interested in
11 clinical trials being always available, right.
12 There are expectations for that. So hopefully even
13 failed clinical trials will be notable, and then
14 there will be an ability to learn from those
15 things.

16 DR. BRITTAIN: But will that be public? If
17 someone does it -- say a failure occurred, and
18 there's a meeting two years from now, and
19 everyone's asking can we count on this equivalence
20 in the analytics meaning equivalence at the
21 clinical level.

22 DR. KOZLOWSKI: So again, I think this is

1 evolving. The analytics get better and better.
2 There was an example mentioned yesterday about
3 neutralizing antibodies to an epoetin candidate
4 through one route of administration that was
5 uncovered in the clinical part; root-cause analysis
6 led to potential structural understanding, and that
7 was public, very public.

8 So my sense is that this will be available.
9 And I actually think it's in the best interest of
10 all the industry participants in this to make it
11 available because it helps all of them.

12 DR. SOLOMON: I have two questions, one
13 about the analytics. And this is a point that was
14 touched on by the applicant, and then the FDA, this
15 concept of highly critical, how do we grade the
16 different tests and their level of criticality.

17 It was kind of glossed over, and I'm not
18 sure if this is a conversation that goes on between
19 the applicant and the agency. Can someone from the
20 agency give us a better understanding of that
21 paradigm?

22 DR. KOZLOWSKI: This concept evolved more in

1 terms of improving manufacturing changes to really
2 understand what are the most critical attributes,
3 both in controlling lot-to-lot products and dealing
4 with manufacturing changes.

5 I think these concepts about what attributes
6 are critical have been in the minds of industry and
7 regulators for a long time, but as part of this
8 concept, which was called quality by design, they
9 were really pushing the idea of a more formal way
10 of ranking these attributes. There's actually an
11 ICH document, Q9, that talks about risk assessment
12 in general.

13 What Sandoz presented was there are a number
14 of areas where you assess risk. Is there a risk to
15 pharmacokinetics? Do you think there's a risk to
16 safety or immunogenicity? Do you think there's a
17 risk to potency? And for any particular attribute
18 based on a variety of factors, literature,
19 experience with related molecules, clinical data if
20 it's available where those variants have had, all
21 that's integrated into a scoring system.

22 Generally, this is done with a

1 multidisciplinary team. There's kind of rules
2 about moderating it well because it really does
3 matter how it's done, and they generate a score.
4 And the score may vary. We don't tell industry you
5 have to use this exact scoring system. They
6 generally will propose something that meets those
7 criteria and share with us their results.

8 The agency generally accepts those
9 assessments, but if an attribute is rated really
10 low that in our experience is high, we may
11 challenge that and say, we would like more data on
12 why our intuition, our past experience with this,
13 differs from your risk assessment.

14 DR. SOLOMON: That's very helpful. Thank
15 you.

16 A specific question to Dr. Fritsch about the
17 full sample versus the per protocol. This has been
18 touched on several times by other committee
19 members, but I'm just curious, as a statistician,
20 how do you think about those two? I know that they
21 did line up pretty well, but I'm just curious
22 whether the selection of the primary analysis was

1 as you might have done it.

2 DR. FRITSCH: As you noticed, I tended to
3 present the full-analysis population, which
4 reflects my preference for the full-analysis
5 population. And of course I looked at both, and
6 they are consistent.

7 Generally, I'm concerned that people might
8 be excluded from the per-protocol population for
9 reasons that might be due to treatment. So I think
10 you can argue both ways that people could
11 be -- bias can go either way. So I think the best
12 goal is to try and follow everybody as well as
13 possible, and minimize the missing data, and try to
14 capture the reasons as well as possible.

15 DR. SOLOMON: Thank you.

16 Do we have any other clarifying questions?
17 Jose?

18 DR. SCHER: Jose Scher here. I think I'll
19 follow up on Dr. Solomon's question, and maybe to
20 the agency. I'm not fully reassured with the
21 endpoint efficacy and the explanations that were
22 given.

1 So let's assume it's true. The question to
2 the agency is, what if the efficacy was 90 percent,
3 the PASI is 75. What's the cutoff where you say
4 this clinically meaningful data is not without
5 procedural uncertainty? In other words, they are
6 clinically equivalent or similar, but in reality
7 this does not reflect historical data.

8 DR. NIKOLOV: I will ask Dr. Fritsch to
9 address this. But just to clarify your concern,
10 again, this is related to your concern that the
11 effect size in the study was much larger than what
12 was seen in the historical studies, right?

13 DR. SCHER: Right. And it's related to the
14 point of extrapolation. Right.

15 DR. FRITSCH: Again, could you rephrase your
16 question one more time?

17 DR. SCHER: In general, we assume people
18 that are treated with Enbrel, based on pivotal
19 trials by Dr. Leonardi and others, that the PASI 75
20 response is about 50 percent. The sponsor comes
21 with a dataset showing 70 plus percent, and that
22 does not mitigate, in my opinion, procedural

1 uncertainty.

2 So the question to the agency is, say for
3 rheumatoid arthritis, the typical ACR response is
4 65 percent. Say another sponsor comes in and says
5 it's 95 percent. Would that be still valid in the
6 eyes of the FDA just because they're clinically
7 equivalent in the comparison?

8 DR. FRITSCH: I think one of the challenges,
9 particularly this -- for this application, we are
10 focusing on PASI 75, which is a dichotomous
11 endpoint. So one thing it can be rather sensitive
12 to, if there are people in that 70 to 80 range,
13 small shifts in percentage could shift a number of
14 people from success to failure, is one possibility.

15 I do agree with what Dr. Leonardi said this
16 morning, that design of the study does have an
17 impact, that what arms are in the trial can have
18 some impact. Should those arms explain the whole
19 thing? I don't know. The fact that there was no
20 placebo, there was no knowledge that there were
21 people who were not getting any treatment. I don't
22 have a good explanation for why this is different.

1 The two arms are the --

2 DR. NIKOLOV: Maybe I can add, and then let
3 Dr. Levin also comment. So you are questioning the
4 constancy assumption for the study. And I think
5 from our perspective, since the effect size is much
6 larger than historical control, historical data, we
7 are not concerned that we may miss a difference if
8 it were to exist.

9 In other words, if the sample size was
10 small -- if the effect size was smaller, that would
11 be a concern for us. With a larger sample size, we
12 may have better ability to detect differences. But
13 I will let also Dr. Levin comment.

14 DR. LEVIN: Greg Levin, FDA. So I've been
15 mostly involved in the review of the rheumatoid
16 arthritis programs, where the comparative studies
17 are in that program. And I'll just point out that
18 in the historical studies of TNF inhibitors for
19 rheumatoid arthritis, you see a greater variability
20 in the within-arm response rates, and it's probably
21 because there's more historical studies.

22 I think there's only two here. You know

1 it's not psoriasis, it's rheumatoid arthritis, but
2 we do see quite a large variability in the response
3 rates within the active arm across historical
4 studies in rheumatoid arthritis.

5 You can look to some of the results that
6 were in the briefing document for yesterday's AC,
7 for example, where you see ranges from 50 to
8 75 percent. I mean, it may be off a little bit,
9 but there is a little bit of a greater range there,
10 which may give you a little more confidence.

11 But I also agree with the comment that I'd
12 be much more concerned if you were seeing a
13 decrease relative to the historical studies in the
14 within-arm response rates where you were concerned
15 that maybe the study would not have been sensitive
16 to even a difference versus placebo. But other
17 than that, I echo the comments that were made.

18 DR. SOLOMON: Dr. Brittain?

19 DR. BRITTAIN: I guess I would say I do
20 share your concern somewhat, that given that the
21 rate did change appreciably, it does raise more
22 question; what would a placebo have done in that

1 same study?

2 I think there's less -- I mean, there's
3 always a leap of faith when we're doing anything
4 like this, when we're looking at historic data to
5 understand the treatment effect. But it feels like
6 now we're doing a bigger leap of faith. But I also
7 agree that given the only placebo-controlled trial
8 showed such a dramatic treatment effect -- I think
9 it was like 49 versus 3 or 4 percent, such a
10 dramatic effect -- it's hard to believe there isn't
11 also a dramatic effect that would have been shown
12 in the study had they been able to do a placebo
13 group.

14 So I'm not too concerned. But I agree, it
15 needs to be considered.

16 DR. SOLOMON: Okay. I think we've had a
17 robust conversation, and I think we're all ready
18 for a break. So why don't we adjourn for about one
19 hour, so until 1:15, and we'll see you back.

20 (Whereupon, at 12:13 p.m., a lunch recess
21 was taken.)

22

A F T E R N O O N S E S S I O N

(1:15 p.m.)

Open Public Hearing

DR. SOLOMON: This is the open public comment session.

Both the FDA and the public believe in a transparent process for information-gathering and decision-making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement, to advise the committee of any financial relationship that you may have with the sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your attendance at the meeting.

Likewise, FDA encourages you, at the

1 beginning of your statement, to advise the
2 committee if you do not have any such financial
3 relationships. If you choose not to address this
4 issue of financial relationships at the beginning
5 of your statement, it will not preclude you from
6 speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them. That said, in many instances
12 and for many topics, there will be a variety of
13 opinions.

14 One of our goals today is for the open
15 public hearing to be conducted in a fair and open
16 way, where every participant is listened to
17 carefully and treated with dignity, courtesy, and
18 respect. Therefore, please speak only when
19 recognized by the chairperson. Thank you for your
20 cooperation.

21 I believe speaker number 1 is not here, so
22 we're skipping right to speaker number 2. Will

1 speaker number 2 step up to the podium and
2 introduce yourself? Please state your name and
3 organization that you represent for the record.

4 MR. SPIEGEL: Good afternoon. No financial
5 disclosures. My name is Andrew Spiegel, a founding
6 member and steering committee member of the
7 Alliance for Safe Biologic Medicines. I am reading
8 the statement of our chairman, pediatric
9 rheumatologist, Harry Gewanter, who was unable to
10 attend today due to his wife being suddenly
11 hospitalized.

12 "I believe everyone here has personally
13 experienced or witnessed the dramatic
14 transformation biologics have had in the lives of
15 patients and their families. I started practice
16 prior to the use of methotrexate and have seen us
17 go from crippled children in walkers and
18 wheelchairs, to essentially invisible conditions
19 and considerations of a cure for rheumatic
20 diseases.

21 "Since every treatment is a unique chemical
22 experiment between an individual patient and a

1 medicine, I've also witnessed the variability in
2 patient responses to different medications, or even
3 different lots of the same medication. These
4 real-world individual responses to therapies
5 emphasize the critical need for as much clinical
6 data and transparency as possible with all
7 medications, but especially with biologics, both
8 the reference molecules and biosimilars.

9 "Biosimilars provide opportunities for
10 increased access to more life-saving treatments,
11 more life-saving options, hopefully at reduced cost
12 to both the patient and society. While similar by
13 definition, these are different molecules from the
14 reference products, and along with the size and
15 complexity inherent in all biologics, have the
16 potential to produce unexpected effects in
17 patients, including unwanted and harmful immune
18 responses.

19 "We support the FDA's history of intense and
20 appropriate scrutiny of all of the medicines, both
21 at the time of application, as well as throughout
22 the medication's lifespan. It is the only way to

1 produce the high level of confidence necessary for
2 biosimilars to be fully accepted and utilized by
3 patients and their physicians.

4 "Producing that level of confidence begins
5 with maintaining and building on the FDA's high
6 approval standards. Formal evaluation starts with
7 solid analytic and clinical biosimilarity data and
8 proceed to clinical data focused on potential
9 adverse effects and efficacy in the most sensitive
10 situations.

11 "Since immunogenic effects may vary
12 significantly between indications, the
13 immunogenicity profile of a biosimilar should be
14 studied in the patient population with the highest
15 risk of an immune response. We believe the
16 approval of a biosimilar should be decided on a
17 case by case basis for each potential indication
18 based on sufficient supporting data rather than
19 justifying an automatic blanket extrapolation to
20 all indications.

21 "Ultimately the burden of proof must be on
22 the biosimilar manufacturer to demonstrate that the

1 product is highly similar in structure, function,
2 and in patient response to the reference product.
3 For example, when Health Canada was considering
4 approval of the infliximab biosimilar, Inflectra,
5 comparative data was only available for RA and AS.

6 "Approval was granted for PSO and PSA based
7 on extrapolations since these conditions have
8 similar mechanism of actions to RA and AS, but
9 Health Canada did not approve for the IBD
10 indications, ulcerative colitis and Crohn's
11 disease. However, due to differences between
12 Inflectra and the reference product, that could
13 have an impact on clinical safety and efficacy of
14 these products in these indications.

15 "When newly submitted data, biological and
16 observational clinical data, showed no new
17 unexpected safety signals in IBD, Health Canada
18 then allowed an extrapolation based approval for CD
19 and UC indications. We encourage the FDA taking
20 this cautious, comprehensive, and data-driven
21 approach to approvals as well.

22 "Clear product identification is critical to

1 approval to ensure safety and confidence to
2 biologic medicines. We applaud the FDA's
3 leadership in promoting distinct and
4 distinguishable names for all biologics, innovator
5 and biosimilar alike. We continue to believe that
6 the benefits of distinct naming would be best
7 realized through meaningful, memorable suffixes,
8 such as that used in the FDA's approval of Zarxio.

9 "Indeed, ASBM surveys show U.S. biologic
10 prescribers prefer suffixes based on manufacturer
11 names over random by a 6 to 1 margin. ASBM survey
12 of 401 U.S. pharmacists also showed 77 percent
13 prefer manufacturer name derived suffixes to random
14 letters.

15 "Comprehensive data collection of
16 biosimilarity should not end with its approval.
17 Strong post-market surveillance data is also
18 important. Patient/physician confidence in
19 biosimilars is critical to their success. It must
20 be earned and maintained through high approval
21 standards, distinguishable naming, transparent
22 labeling, strongly comprehensive pharmacovigilance,

1 manufacturer accountability, and open
2 communication. Thank you very much."

3 DR. SOLOMON: Thank you. Speaker number 3
4 does not appear to be here. Will speaker number 4
5 step up to the podium and introduce yourself?
6 Please state your name and any organization that
7 you represent.

8 DR. CRYER: Good afternoon. For those of
9 you who were not here yesterday, or who were here
10 yesterday, you may get a sense of déjà vu from
11 today's speakers. But my name is still Dennis
12 Cryer. I am the lead physician co-convener of the
13 Biologics Prescribers Collaborative, or BPC. I
14 have no financial disclosures and no conflicts of
15 interest.

16 I'm here on behalf of physicians who
17 routinely prescribe biologic medicines, and
18 professional organizations with numerous biologic
19 prescribers as members. Our comments today are
20 general. They focus on four key biosimilar policy
21 issues rather than on a specific biosimilar
22 product.

1 Among these issues, first, each biologic
2 product deserves a distinguishable and also
3 meaningful non-proprietary name. FDA's draft
4 guidance proposed that biosimilars be assigned an
5 FDA designated suffix comprised of four randomized
6 letters that would be unique for each product.

7 However, our experience as biologics
8 prescribers tells us that in addition to being
9 unique, the suffix should also be memorable. BPC
10 strongly encourages FDA to adopt a suffix format
11 that is memorable and reflective of the
12 manufacturer name, as originally illustrated by
13 filgrastim-sndz, which was the first licensed, and
14 marketed biosimilar in the U.S.

15 Second, biosimilar product labeling must
16 include all needed data about the biosimilar
17 product for physicians to make appropriate
18 prescribing decisions for their patients. The
19 label is a critical tool for physicians to make
20 prescribing decisions and to manage potential
21 adverse events. As such, it is of the utmost
22 importance that any drug label be complete as well

1 as accurate.

2 Not only should the label have a statement
3 of biosimilarity, it is important first to note if
4 the biosimilar has been deemed interchangeable with
5 the reference product; and second, to include a
6 summary of the full clinical data, or a hyperlink
7 to it. As ADA finalizes the guidance on biosimilar
8 labeling, we urge the agency to include the
9 product-specific information that physicians
10 overwhelming consider to be important.

11 Third, the FDA should proceed with
12 thoughtful caution when considering biosimilar
13 applications for indication extrapolation.
14 Biologic medicines are often indicated and used to
15 treat multiple and unrelated disease states. And
16 under the new abbreviated approval process, data
17 presented for certain indications but not for
18 others, the FDA approval of a biosimilar requires
19 only one clinical study to demonstrate safety,
20 purity, and potency of the proposed product.

21 As such, the collaborative does not support
22 automatic indication extrapolation of every

1 indication for the reference product that it's
2 licensed to treat. However, BPC would support
3 extrapolation for additional indications if
4 sufficient scientific justification for
5 extrapolating clinical data has been provided.

6 In particular, data should address possible
7 differences in immunogenicity and expected
8 toxicities among sensitive patient populations, as
9 well as the mechanisms of action in each condition.
10 Those might include: the target or receptors for
11 each relevant activity or function of the product;
12 the binding, dose response, and pattern of
13 molecular signaling upon engagement of target; the
14 relationships between product structure and target
15 or receptor interactions; and the location and
16 expression of the target. We appreciate the
17 increased focus on these areas and issues over the
18 past two days of meetings.

19 Fourth and finally, the FDA should provide
20 clear and concise guidance to industry surrounding
21 interchangeability between the biosimilars and
22 their reference products. As more biosimilars that

1 could be put forward for interchangeability enter
2 the developmental pipeline, it's critical that
3 sponsors be provided sound guidance to ensure
4 patient safety and physician confidence.

5 We encourage FDA to provide direction on
6 interchangeability by issuing a draft guidance as
7 soon as possible to provide that clarification on
8 this issue at the federal level.

9 We encourage FDA to consider the
10 implications of these policies as biosimilar
11 products advance onto the market. These policies,
12 if adopted, will determine the physician confidence
13 that is essential for appropriate use.

14 Thank you for this opportunity for the
15 Biologics Prescribers Collaborative to speak before
16 the Arthritis Advisory Committee today, and to
17 share our perspective on issues which are critical
18 for the safe use of biosimilars and other
19 biologics. Thank you.

20 DR. SOLOMON: Thank you. Will speaker
21 number 5 step to the podium and introduce yourself?
22 Please state your name and any organization that

1 you represent.

2 MR. HODGE: My name is Richard Hodge, and I
3 am a member of the board of the American Autoimmune
4 Related Disease Association. Neither I nor AARDA
5 have any financial conflicts of interest with the
6 subject before this committee.

7 AARDA, or the American Autoimmune Related
8 Disease Association, is an organization that
9 represents multiple autoimmune diseases and some of
10 the 50 million Americans that suffer from
11 autoimmune diseases, including over 100 established
12 diseases. It's important to note that of those 50
13 million, almost 75 percent are women.

14 AARDA is a national not-for-profit
15 organization that's dedicated to raising awareness
16 and addressing the problems of autoimmunity, which
17 is a leading cause of chronic illness and
18 disability in the country. AARDA is also the
19 facilitator of the National Coalition of Autoimmune
20 Patient Groups, a coalition of some 37 of those
21 different patient advocacy and patient assistance
22 groups representing numerous autoimmune diseases.

1 The development of biologic and biosimilar
2 drugs offer by far the best hope for those
3 suffering with autoimmune diseases. Individuals
4 with autoimmune diseases suffer from significant
5 health challenges, often requiring lengthy
6 evaluations and referral processes involving many
7 different specialists, as well as therapeutic trial
8 and error, in order to diagnose, treat, and manage
9 their medications.

10 We have witnessed firsthand the impact of
11 biologics are having on improving and extending the
12 lives of autoimmune patients. However, we must
13 continue the strong patient advocacy protections
14 for which the FDA has been noted, and for which
15 many groups here have long advocated.

16 Autoimmune disease patients have a proven
17 susceptibility to the unintended consequences of
18 inappropriate drug therapies and are highly
19 vulnerable to the ravages of unnecessary changes to
20 their therapies. Autoimmune patients often
21 experience many months, or even years, of searching
22 for an appropriate combination of drugs, including

1 biologics and biosimilars, appropriate for the
2 individual patient.

3 AD patients often have a combination of
4 comorbid autoimmune diseases, and different
5 patients with the same condition often respond
6 different to the same therapies. Individuals with
7 autoimmune diseases face these significant health
8 challenges and are often requiring unique
9 combinations of drugs to diagnose, treat, and
10 manage their conditions.

11 According to an ongoing AARDA survey of
12 autoimmune patients, 95.9 percent had to try more
13 than one medication before they found the one that
14 worked for them. The average time it took to find
15 the right medication was 2.9 years. Over
16 37 percent said their condition worsened, and
17 35.8 percent experienced adverse side effects when
18 they were switched to another medication.

19 According to Dr. Gregory Schlamizi, the
20 leading rheumatologist and cofounder of the
21 Coalition of State Rheumatology Organizations,
22 patients with autoimmune diseases have responses

1 that are different from other patients.

2 Autoimmune patients can have specific
3 characteristics, such as blunted antibodies and
4 cellular immune responses that can lead to
5 heightened responses. It cannot be assumed that
6 patients with one lymphocytic HLA marker set will
7 respond to a biologic agent in an identical manner
8 as another with the same lymphocytic marker set.

9 In the case of biologic therapeutical
10 agents, some patients may develop an antibody
11 response to one biological agent and have a lower
12 response to that agent as a result. These lower
13 responses are caused by antibodies destroying the
14 biologic agent, so the beneficial effect is reduced
15 and the patient having a reaction to the drug.

16 As we know, by the very nature of their
17 production, biosimilar drugs are not identical to
18 the others or with the innovator biologic. Subtle
19 differences in the biological structure can be
20 expected to be a source of different potential
21 reactions in the same patient. Therefore, one
22 individual, a patient with highly receptive

1 lymphocytes, may have no reaction to one biological
2 agent, but will have a reaction to another, but not
3 similar identical agents.

4 This is no more true than in AD patients.
5 There's a considerable body and growing body of
6 evidence that subtleties and the severe adverse
7 consequences can occur from the inappropriate
8 switching of autoimmune disease patient therapies,
9 especially biologics and biosimilars. Our written
10 statement provides a summary of some of the recent
11 research on that. Time will not allow me to go
12 into that at this point.

13 DR. SOLOMON: Thank you.

14 MR. HODGE: Thank you.

15 DR. SOLOMON: Will speaker number 6 step to
16 the podium, introduce yourself? Please state your
17 name and the organization you represent.

18 MR. SPIEGEL: Good afternoon. My name is
19 Andrew Spiegel. I have no financial disclosures.
20 I come before you in two capacities this afternoon.
21 First, as the executive director of the Global
22 Colon Cancer Association, but I'm also proud to

1 represent an organization that I cofounded six
2 years ago, the Alliance for Safe Biologic
3 Medicines. ASBM is an organization comprised of
4 patient and physician groups who advocate for
5 patient-centered policies in this arena.

6 Biologic medicines have helped more than
7 300 million patients worldwide. These medications
8 have helped triple the life expectancy of the most
9 advanced colon cancer patients, and we expect
10 biosimilars to bring tremendous benefits to the
11 patient community, not only offering new treatment
12 options, but doing so at a reduced cost. We hope
13 this reduced cost translates into increased access
14 for patients.

15 We are excited to see biosimilars entering
16 the U.S. market and the U.S. healthcare system, but
17 in order to feel comfortable taking biosimilars,
18 the patient community wants to know that they are
19 as safe and as effective as their reference
20 product. Lack of clinical data and insufficient
21 transparency regarding that data can be obstacles
22 to patient and physician confidence, and thus to

1 widespread biosimilar adoption.

2 Because biosimilars by definition are not
3 identical with the reference product, it is
4 important that the FDA insist upon high standards
5 for safety and efficacy when approving biosimilars.
6 The manufacturer must be required to demonstrate
7 that the structural, functional, and clinical
8 similarity of the product are similar to that of
9 the innovator.

10 Extrapolation is an area of concern for the
11 patient community. At a minimum, we feel that
12 approval for each indication should be granted
13 individually rather than an all or nothing
14 approach. We don't suggest that safe extrapolation
15 is not possible. To the contrary, we simply feel
16 that each indication should be approved
17 individually based upon solid data.

18 This panel should have the flexibility and
19 should not be forced to approve the drug for all or
20 no indications. This is a constraint that is not
21 legally required, nor in the patient's best
22 interest. This is not to suggest that there is a

1 lack of data for today's product, or yesterday's
2 product, but more common is the overall process.
3 You committee members should have the right and
4 should have the option of approving each indication
5 presented.

6 Once approved, informative and transparent
7 labeling that lets us make informed treatment
8 choices is critical to building confidence and
9 increasing biosimilar use. For example, we need to
10 know whether a biosimilar was evaluated in treating
11 our disease, or whether the approval was based on
12 extrapolation from data in other diseases. We want
13 to know whether or not the product is a biosimilar,
14 and whether it's interchangeable with its reference
15 product. Therefore, informative and transparent
16 labeling is required.

17 Comprehensive data collection on a
18 biosimilar after approval is of utmost concern.
19 Strong post-market surveillance data improves care
20 and limits risks to patients. Real-world data
21 helps us better understand these medicines and
22 promote more efficient, safer, and personalized

1 use.

2 Strong post-market pharmacovigilance will
3 improve care and provide further confidence in
4 biosimilar medications. The FDA really does have a
5 unique opportunity to ensure new drugs on the
6 market remain safe for patients well after
7 approval.

8 Clear product identification and naming are
9 critical to ensure safety and confidence in
10 biologic medicines. We agree with the FDA's
11 approach in promoting distinguishable names for all
12 biologics, including both innovator and biosimilar
13 drugs. We continue to believe that the benefits of
14 distinct naming will be best realized through
15 meaningful, memorable suffixes. How long would it
16 take you to remember your passwords if they were
17 not memorable or meaningful to you?

18 For patients to realize the benefits of
19 biosimilars, we need to be confident that our
20 health and safety remains a primary concern, and we
21 need to be provided full and accurate information
22 about each medicine in order to make informed

1 choices. Thank you for the opportunity to comment
2 on this issue.

3 DR. SOLOMON: Thank you. Will speaker
4 number 7 step up to the microphone and introduce
5 yourself? Please state your name and the
6 organization you represent.

7 MR. CARDENAS: Good afternoon. My name is
8 Jasey Cardenas, senior policy associate at the
9 United Spinal Association. And I'm speaking today
10 on behalf of Larry La Motte of the Patients for
11 Biologic Safety and Access, PBSA, And we have no
12 financial ties to disclose.

13 PBSA is a coalition of 24 patient advocacy
14 organizations, including United Spinal Association,
15 which is dedicated to protecting patient access to
16 safe and effective biologics. While our
17 communities are eager for new and affordable
18 treatments, patients are keenly aware of the
19 possible risks associated with biologics and
20 biosimilars, including immunogenicity and the lack
21 of long-term safety data for new treatments.

22 PBSA believes that the complexity and

1 uniqueness of each biologic medicine require that
2 FDA ensures all biologics and biosimilars are
3 thoroughly tested and meet the highest safety
4 standards. We remain concerned that FDA has now
5 approved the first two biosimilars and is now in
6 the final stages of review of two others without
7 putting in place transparent and finalized policies
8 to safeguard patients.

9 To date, the agency has yet to issue final
10 guidance on a range of issues that will impact
11 patient safety, including interchangeability,
12 naming, labeling, non-medical switching, a robust
13 pharmacovigilance monitoring system, and indication
14 extrapolation. While we are pleased there have
15 been draft guidance issued on naming and labeling,
16 completion of final guidance on all these key
17 patient safety issues should be FDA's top priority
18 in implementing the law.

19 Both the products currently under review by
20 the Arthritis Advisory Committee during these two
21 days of consecutive meetings have far less clinical
22 and post-market data than the first FDA approved

1 biosimilar, Neupogen. Compared to Neupogen, the
2 two products that are now before the committee are
3 much larger and more complex in structure, will be
4 taken by patients for many years versus months, and
5 will seek to treat a number of widely varying,
6 serious chronic conditions.

7 We would appreciate the experts on the
8 committee to thoroughly discuss the adequacy of the
9 data presented given the statutory requirements for
10 approval and the confidence patients who will be
11 taking these products for many years can have in
12 their long-term safety.

13 When stabilized on a biologic, patients are
14 concerned about being switched for non-medical
15 reasons to a non-interchangeable biosimilar. This
16 was the point of substantial debate and discussion
17 at the February 9th advisory committee meeting
18 considering the infliximab biosimilar application.

19 With the possibility of now three
20 biosimilars on the market for the same indications,
21 our concerns about non-medical switching have
22 grown. Is the FDA seeking evidence on safety of

1 non-medical switching among the three biosimilars?
2 If so, what is the safety standard the agency is
3 using to measure the safety of multiple switches
4 to, from, and among the biosimilars and their
5 reference products?

6 In PBSA's meeting in May with Dr. Woodcock
7 and other FDA leaders, we were pleased FDA
8 expressed a willingness to consider our
9 recommendation to require future biosimilar
10 advisory committees to have the ability to vote on
11 single indications if the committee has doubts
12 about extrapolated data for an indication rather
13 than vote against the entire application.

14 We are disappointed that this step has not
15 been taken, and we will continue to urge its
16 adoption. This would be an important step towards
17 boosting patient and prescriber confidence in
18 biosimilars.

19 In crafting the biosimilar laws, Congress
20 expressly limited FDA's approval process to
21 assuring no clinically meaningful differences in
22 safety and effectiveness, and that the products are

1 highly similar to their already approved reference
2 products. Congress explicitly indicated that cost
3 should not be a factor in approval of these new
4 drugs.

5 We call on FDA to ensure these and future
6 biosimilar advisory committee discussions are
7 focused on matters of safety and efficacy, in
8 determining biosimilarity, and that committee
9 members are advised in advance that their advice
10 and judgment should be based on those matters.
11 There should never be a situation where advisory
12 committee members are voting on approval of new
13 products based on cost and not solely based on
14 safety and efficacy.

15 Thank you for the opportunity to provide the
16 views of the patients on the biosimilar process
17 today. Thank you very much.

18 DR. SOLOMON: Thank you. Will speaker
19 number 8 step to the podium and introduce yourself?
20 Please state your name and your organization that
21 you represent.

22 MR. GINSBERG: I have no disclosures to make

1 regarding my travel here today. And on behalf of
2 the non-profit Global Healthy Living Foundation,
3 and its arthritis organization, Creaky Joints, I'd
4 like to thank the FDA for its commitment to
5 listening to a diverse set of stakeholders today.
6 We are not scientists or doctors. We are patients.

7 My name is Seth Ginsberg, cofounder of
8 Creaky Joints and the Global Healthy Living
9 Foundation, and I was diagnosed with
10 spondyloarthritis at the age of 13. See, for
11 patients, biosimilars represent hope as well as
12 fear. Hope for expanded treatment options through
13 a broader formulary, and fear of being switched
14 from a drug that works to one they don't know, and
15 not participating in the promised cost reductions.

16 Our community is carefully processing these
17 two emotions because biologics transform our lives.
18 Whether it's Mariah from Colorado who was able to
19 finish her master and law degrees because of her
20 medicine, or Cindy from Texas, who took one last
21 road trip with her elderly father before he passed
22 away.

1 In addition, our community fears biosimilars
2 could represent losing the biologic treatment
3 they've searched years to find and worked
4 tirelessly to gain access to. In the case of
5 Brenda from North Dakota, a decade. I know, I've
6 been to North Dakota. I've met Brenda, and I've
7 celebrated her successes with her. A biosimilar
8 may be essentially equivalent to a scientist or an
9 insurance company, but it's not to the biologic
10 patient whose life has been completely transformed
11 from it.

12 Nevertheless, at Creaky Joints we are
13 optimistic about biosimilars, and we look forward
14 to seeing them in our therapeutic space where,
15 through Arthritis Power, our PCORI-sponsored work
16 as a patient powered research network, we can and
17 will track patient reported outcomes. We encourage
18 the FDA to look at ways to formally incorporate
19 PCORI's patient reported outcome data into
20 post-market surveillance activities. It's been
21 built, let's use it.

22 In order to achieve the promise originally

1 intended by the BPCIA in 2010, we are addressing
2 patient and physician confidence in our
3 biosimilars. We believe the FDA and biosimilar
4 manufacturers can support this effort by examining
5 their supply chain and support services, creating
6 unique naming and clear labeling, as well as
7 interchangeability policy decisions that prevent
8 payer-level switching for non-medical reasons.

9 Although it's a controversial topic among
10 the patient community, we support FDA's position to
11 allow indication extrapolation. We understand that
12 you can't have biosimilars without having
13 extrapolation. It's needed in order to reduce cost
14 and allow biosimilars to reach many more patients.

15 Once this expanded access and savings is
16 achieved, our hope is that more healthcare dollars
17 will be allocated to innovative therapies.

18 However, we respectfully oppose extrapolation when
19 the mechanism of action for the extrapolated
20 indication is not clearly understood, or the drug
21 is considered scientifically or therapeutically
22 outdated.

1 Science is only one part of biosimilar
2 success. Use and satisfaction by the patients is
3 where success will ultimately be measured. And
4 Arthritis Power, our organization, and many others
5 stand ready to measure that success.

6 We'd like to thank the FDA for emphasizing
7 the value of the patient perspective through public
8 meetings, such as this one, as well as yesterday's,
9 and we continue to mobilize our patient community
10 to create a better life for those who will benefit
11 from biosimilars. Thank you very much.

12 DR. SOLOMON: Thank you. Will speaker
13 number 9 step to the podium and introduce yourself?
14 Please state your name and any organization that
15 you represent for the record.

16 MS. LEMISKA: Hello. My name is Emily
17 Lemiska, and I am a representative of the U.S. Pain
18 Foundation. I am also a chronic pain patient with
19 a rare spine and spinal cord disorder. I'm reading
20 testimony for Casey Cashman, our executive
21 director, who is unable to be here today. Neither
22 I nor U.S. Pain have any financial conflict.

1 "Thank you for allowing me the opportunity
2 to further expand on biosimilars and non-medical
3 switching. Today I would like to discuss how
4 substitution and switching intrudes into the
5 physician/patient relationship, and erodes patient
6 health, with significant financial and social
7 costs.

8 "Switching medications for non-medical
9 reasons can mean unnecessary new side effects,
10 reduced effectiveness, or even relapse. This
11 translates into disease progression, reduced
12 function, and a lower quality of life. For
13 example, switching treatments, even those the FDA
14 deems as equivalent, can cause people with epilepsy
15 to experience breakthrough seizures. For Crohn's
16 disease patients, even voluntary switching is
17 associated with a loss of effectiveness within one
18 year.

19 "As for higher healthcare costs, rheumatoid
20 arthritis patients, who incurred non-medical
21 switching, experience 42 percent more ER visits and
22 12 percent more outpatient visits over six months.

1 Meanwhile, studies also show people with epilepsy
2 who were switched saw more in patient and emergency
3 care than those who did not.

4 "Generally speaking, non-adherence to
5 treatment regimens contributes direct annual costs
6 of \$100 billion to the U.S. healthcare system.
7 Indirect costs exceed \$1.5 billion annually in lost
8 patient earnings, and \$50 billion in lost
9 productivity.

10 "But when we talk about patients who are
11 losing the ability to manage their disease because
12 of non-medical switching, please realize the true
13 negative impact is hard to quantify. The potential
14 harm of non-medical switching represents losses
15 like not being able to make your family dinner,
16 missing your child's soccer game, not being able to
17 attend your best friend's birthday party.

18 "We are here, of course, to discuss
19 switching as it relates to biosimilars
20 specifically. Biosimilars represent an opportunity
21 for patients, but they also represent an
22 opportunity for insurers to save on costs, at

1 patients' expense. Patients should not be forced
2 to try alternative measures that may be less
3 effective and cause adverse reactions. This is
4 especially true if their existing treatment has
5 proven beneficial.

6 "Please understand that interchangeable does
7 not mean the best option. It does not mean less
8 risk to the patient's health. It does not
9 necessarily mean less costly. Transparency also
10 needs to be addressed here. Chronic pain requires
11 patients and clinicians work together, sometimes
12 for years, to find the best treatment regimen.
13 Ideally, insurers should not be playing doctor, but
14 at the very least, patients and physicians must be
15 made aware of any changes insurers or pharmacy
16 benefit managers are attempting to make.

17 "On behalf of chronic pain patients
18 everywhere, we ask that you create restrictions to
19 limit the practice of switching, steal patients
20 from the treatments they rely upon, and the harm
21 quantifiable and unquantifiable that can cause.
22 Thank you for your time and consideration."

1 DR. SOLOMON: Thank you. Will speaker
2 number 10 step to the podium and introduce
3 yourself? Please state your name and any
4 organization that you represent for the record.

5 MR. PHILLIPS: Good afternoon. My name is
6 Thair Phillips, and I'm president of RetireSafe, a
7 nationwide, non-profit advocacy organization for
8 older Americans. I'm here today representing our
9 300,000 supporters, including our 50,000 activists.
10 I have nothing to disclose concerning this
11 testimony today.

12 As I testified yesterday, and at previous
13 advisory committee meetings, RetireSafe looks
14 forward to the promise of increased access offered
15 by biosimilars, but we are still concerned about
16 safety. My statement today will again deal with
17 safety issues that continue to exist within the
18 overall biosimilar approval process.

19 Two years ago, I reported on a survey we
20 took concerning the safety and effectiveness of
21 biosimilars. We felt it was necessary to update
22 that survey since it's been so long. Again, both

1 the answers and comments from our activists voiced
2 an overwhelming desire for commonsense safeguards
3 when it comes to the naming, labeling, switching,
4 approved indications, and the open communication
5 required for biosimilars.

6 Our questions about safety always bring a
7 positive result. The percentages were unusually
8 high with most answers in the high 80s, and one in
9 the 90s. I will focus on two of the updated
10 questions.

11 Over 95 percent of the respondents said that
12 biosimilars should not be substituted if it had not
13 been adequately tested for safety and efficacy,
14 specifically for the disease or condition it was
15 prescribed to treat. This commonsense answer
16 should highlight the need for a change in how the
17 advisory committee votes.

18 I've testified at every advisory committee
19 meeting on biosimilars. At every meeting, there
20 are some indications that the committee members
21 feel fine with, and some that elicit questions and
22 concerns. The up or down vote hides this valuable

1 information. The advisory committee needs the
2 option to have an up and down vote on each
3 indication on a biosimilar's application. This
4 issue was our survey respondents' number one
5 concern.

6 A second question that elicited much
7 interest concerned non-medical switching. Almost
8 86 percent of the people said that their medicine
9 should not be switched for non-medical reasons.
10 This type of switching has been one of the common
11 themes we've heard from this podium. It is a
12 complicated but very important consideration.

13 To 86 percent of the mature Americans that
14 answer our survey, changing a medicine that was
15 working seems absurd. Anybody with any commonsense
16 wouldn't do it, yet many stakeholders here today
17 feel that it will, or has already begun to become a
18 reality, with good reason.

19 You may not see how this type of switching
20 is affected by your decisions or how it is
21 something you have any control over. I think your
22 decisions here, and the decisions of the FDA, do

1 have an effect on non-medical switching. The
2 requirement for all or nothing voting on indication
3 mask the reservations you have voiced here
4 concerning some indications.

5 Labeling considerations that don't reflect
6 which indications were tested and which sued
7 extrapolated data, hide critical information. Even
8 FDA's unexplained regression to favoring a
9 non-meaningful suffix in a name hides important
10 manufacturer information.

11 All of these decisions make it easier for
12 payers and PBMs to create formularies and guidance
13 that promote non-medical switching. They even keep
14 important information from doctors as they evaluate
15 what's best for their patients.

16 A patient responding to our survey told us,
17 quote, "My RA has not progressed in any damaging
18 manner. In fact, it improved the first few years
19 and then stabilized. I use the biologic Enbrel,
20 and I don't want any change." Close quote.

21 I wrestled with a decision to use this
22 particular comment for obvious reasons, but the

1 fact of the matter is, this is an honest response
2 to a serious question. It is also a fact that it's
3 always been RetireSafe's position that non-medical
4 switching is not acceptable, and it doesn't matter
5 whether it is to or from an innovator biologic, a
6 biosimilar, or a small molecule drug.

7 I am encouraged by your desire to broaden
8 the scope of discussion at these advisory meetings
9 to deal with some of these important issues. The
10 promise of biosimilars won't be realized if we keep
11 blinders on. We can't be afraid of being spooked
12 by something in our peripheral vision. That
13 something may be the very thing that causes us to
14 fail or succeed, and shouldn't be ignored.

15 Once again, I'll end by saying that
16 Americans trust the FDA. Dr. Woodcock said that
17 the safety would not be sacrificed when it comes to
18 biosimilars. I continue to take her at her word.
19 As a voice for the people you protect, we ask that
20 you work to broaden the discussion, realize the
21 breadth of impact your decisions have, and maybe
22 listen a little more closely to the stakeholders.

1 To do otherwise would undermine the trust Americans
2 have in the FDA. Thank you.

3 DR. SOLOMON: Thank you. Will speaker
4 number 11 step to the podium and introduce
5 yourself? Please state your name and any
6 organization you represent for the record.

7 MR. SPIEGEL: Good afternoon again, Andrew
8 Spiegel. This time I'm reading the comments for
9 Katherine Arntsen, also a member of the Alliance
10 for Safe Biologic Medicines. And I promise this is
11 the last speech I will do today. Katherine did
12 testify yesterday, you may recall. But she had to
13 leave town, and so I will read her comments today.

14 "I am here as a leader, advocate, and
15 patient who lives with multiple autoimmune
16 diseases, take over 40 drugs a day, and has unique
17 sensitivities to both active and inactive
18 ingredients in drugs. Please understand no
19 one-size-fits-all products exist for complex
20 patients like me. Our immune response to
21 treatments is unique, contrary, and at times
22 adverse.

1 "Given that the FDA has not yet finalized
2 guidance on issues that impact patient safety, such
3 as indication extrapolation, switching,
4 interchangeability, naming, and labeling, please
5 keep in mind complex autoimmune patients like me
6 who do not have the norm and who are labeled
7 outliers by their treating physicians.

8 Patients like me are so hyper sensitive that
9 even the slightest change in manufacturing, dose,
10 or method of delivery can provoke immunogenicity
11 and disease complication. Sufficient proof of
12 clinical efficacy, safety and purity, potency, and
13 tolerability must be provided for each distinct
14 patient population to grant indication
15 extrapolation, not just projected clinical safety
16 and efficacy data.

17 "To be designated as interchangeable,
18 biosimilars must unequivocally produce the same
19 clinical result in any given patient as a biologic
20 reference product. Therefore, we support a policy
21 requiring rigorous criteria that includes
22 non-clinical and clinical data. We also support

1 unique non-proprietary names in order to assure
2 patient safety, provide vital transparency, and aid
3 in accurate product identification during the
4 prescribing, dispensing, and pharmacovigilance
5 processes, promote compliance, and ensure
6 timelessness in addressing adverse events.

7 "We ask you to evaluate this biosimilar
8 through real-world, post-market surveillance to
9 maintain efficacy and patient safety.

10 Pharmacovigilance is essential as these treatments
11 may produce immunogenic responses in patients who
12 may also be hypersensitive to changes in product,
13 methods, or impurities.

14 "We commend the FDA for addressing
15 immunogenicity in the draft guidance, but ask that
16 final guidance include requirements that biosimilar
17 labels specify which indications were approved
18 based on extrapolation of data rather than clinical
19 testing, pertinent clinical data and adverse events
20 specific to the biosimilar, and a statement
21 declaring whether or not the product has been
22 approved as interchangeable. This information is

1 necessary for patients and prescribers to make
2 fully informed choice.

3 "Substitution of biosimilars for branded
4 biologics should only occur when the FDA has
5 designated a biologic product as interchangeable
6 and patient protections are upheld, including
7 communication between pharmacists and prescribers
8 to guarantee complete transparency.

9 As an individual who was harmed by the
10 egregious payer utilization management practice,
11 step therapy, and am now blind in my right eye, I
12 am extremely concerned that patients who are stable
13 on a biologic will be switched for a non-medical
14 reason to a biosimilar that has not been determined
15 to be interchangeable by the FDA.

16 "We realize that the FDA does not have any
17 jurisdiction over insurers or plans, but we must
18 anticipate that payers will promote the use of
19 biosimilars. And therefore, we urge you to provide
20 robust safeguards to protect patients, such as
21 applying strong scientific safety standards and
22 publishing an official statement that switching a

1 stable patient to a non-interchangeable biosimilar
2 is perilous.

3 "CVS has actually put forth a publication
4 indicating that they will apply step-therapy
5 protocol to ensure patients are pushed into the
6 preferred drug, and they expect nominal use of
7 grandfathering, which means that patients currently
8 successfully managing their diseases will be forced
9 to switch therapies to appease cost control
10 measures.

11 "We cannot emphasize strongly enough or
12 loudly enough, payers will switch stable patients
13 for non-medical reasons from biologics to
14 non-interchangeable biosimilars, so we charge you
15 with establishing patient safeguards stating that
16 non-medical switching of stable patients is
17 extremely precarious, and should only be determined
18 by the treating provider and the patient.

19 "Biologic medicines are prescribed to
20 individuals with serious life-threatening diseases,
21 and therefore the potential for immune responses
22 and serious adverse effects is heightened

1 exponentially in these vulnerable patient
2 populations. Thank you for the opportunity to
3 share my perspective and for recognizing the
4 importance of the patient voice during the drug
5 review process."

6 DR. SOLOMON: Thank you. Will speaker
7 number 12 step to the podium and introduce
8 yourself? Please state your name and any
9 organization that you represent for the record.

10 MS. BOYLE: Hi. My name is Alison Boyle. I
11 have no financial relationships to disclose. I've
12 had systemic juvenile idiopathic arthritis since I
13 was 5 years old. By looking at me, you probably
14 wouldn't know that I have a disease that three
15 rheumatologists have described as the most vicious
16 they've ever seen.

17 I walked into this hearing without the help
18 of an assistive device, such as a cane or
19 wheelchair. I work as a healthcare consultant and
20 travel across the country each week for work. I
21 can walk, run, climb, open jars, and take spin
22 classes. When you look at my x-rays, there are no

1 signs of progressive joint damage. There's one
2 reason I am able to live a full and active life,
3 and that is because of biologic medications.

4 I grew up during an interesting time for the
5 field of rheumatic disease, as well as medicine in
6 general. Biologic medications were first being
7 approved in the United States. For example, Enbrel
8 was first approved when I was 9 years old in 1998.
9 Before biologic medications, people with juvenile
10 arthritis almost always developed severe joint
11 damage that severely limited the use of their hands
12 and their mobility.

13 It took a long time to find the right
14 biologic to treat my arthritis. In the times when
15 my arthritis was uncontrolled, I had joint pain and
16 stiffness, swelling, sore throats, nausea, fevers
17 as high as 105 degrees. My disease also has muscle
18 involvement, and my muscles were frequently so weak
19 that I couldn't even walk to the bathroom. This
20 muscle weakness affected my chest muscles, and I
21 was hospitalized several times because my muscles
22 were so weak that I couldn't breathe.

1 Given the high burden of this disease and
2 the potential for correct biologic medications to
3 prevent this pain and suffering, we should seek to
4 ensure that individuals who need these medications
5 are able to access them.

6 Unfortunately, biologic medications are
7 currently extremely expensive. This cost often
8 makes procuring these medications prohibitively or
9 debilitatingly expensive. For a person without
10 insurance, a single dose of biologic medication
11 could cost more than \$1000. Even with insurance,
12 copayments are often hundreds of dollars.

13 No parent should be forced to choose between
14 paying bills and paying for their child's
15 medication. And no family should be forced to make
16 these types of tradeoffs simply because their child
17 was born with a disability. Even with the
18 insurance I get through my company, I still hit my
19 out-of-pocket maximum of \$2000 quickly every single
20 year. Ask yourself, is that fair?

21 The approval of biosimilar medications will
22 provide a more affordable alternative for patients

1 so that they don't have to make these impossible
2 tradeoffs. We already have proof that this will
3 happen. In the United Kingdom, the approval of
4 biosimilar medications has led to increased access
5 to colony-stimulating drugs for cancer patients.

6 One argument brought up against biosimilar
7 medications is that their approval will stifle
8 innovation because drug manufacturers will have no
9 incentive to create new medications. I believe the
10 choice between access and competition in this
11 instance is a false dichotomy.

12 First, if drug patents are unlimited and
13 biosimilars are not allowed, then drug companies
14 will have very little competition. Biosimilars
15 introduce additional competitive products into the
16 market. When faced with competition, drug
17 companies will have to produce additional products
18 to stay relevant.

19 This is especially important for arthritis
20 since there are over 500 different types of
21 rheumatic disease. For example, I have systemic
22 idiopathic arthritis, which causes fevers, rash,

1 and muscle and organ involvement. This is
2 different from psoriatic arthritis, which causes
3 psoriasis in addition to joint pain or ankylosing
4 spondylitis, which causes degeneration of the back.
5 The more competition there is, the more drug
6 companies will look to provide targeted therapies
7 for different types of rheumatic disease.

8 Of course, as a patient, it's absolutely
9 critical to me that biologics are safe and
10 effective. Fortunately, the process for creating
11 and testing biosimilars has been extremely
12 stringent. Biologic drugs are made up of large and
13 complex molecules, however in order to create a
14 biosimilar, drug companies analyze the biologic
15 drug in detail and develop a highly similar
16 product.

17 After this development, drug companies will
18 be required to perform stringent data analysis, and
19 possibly conduct clinical trials to prove that
20 their product is so similar to the original
21 biologic medication that there are no statistically
22 significant differences in ability to treat the

1 targeted disease.

2 Of course there is some uncertainty, and one
3 of the previous speakers spoke about how patients
4 have fear about these biologic medications, and
5 that's certainly true. However, that uncertainty
6 exists in the status quo as many of us feel
7 uncertain about the long-term outcomes of the
8 biologic medications we take right now. However,
9 they have the potential to improve the quality of
10 life of individuals so much that increasing access
11 is absolutely paramount.

12 In addition, I feel it's important to
13 closely monitor outcomes, do post-market
14 surveillance, and track these outcomes closely so
15 that we can understand the impact of these
16 biosimilars on patients.

17 Juvenile arthritis has historically not
18 gotten a lot of public attention in this country,
19 and few realize the emotional, physical, and
20 financial toll this disease has on families in the
21 United States. It's the number one cause,
22 arthritis is, the number one cause of disability in

1 the U.S., and more than 300,000 children are
2 affected with a form of the disease.

3 You have an opportunity to expand access to
4 critical medications for these children and
5 families while ensuring safe implementation of
6 these drugs. Your actions can prevent pain and
7 suffering and financial hardship for families, and
8 will lead to more innovation in this critical area.
9 It is for these reasons that I urge you to approve
10 this biosimilar for Enbrel, and sincerely thank you
11 for considering the patient's perspective.

12 DR. SOLOMON: Thank you. Will speaker
13 number 13 step to the podium and introduce
14 yourself? Please state your name and any
15 organization that you represent for the record.

16 MS. SIMMON: Thank you. Hi. I'm Christine
17 Simmon. I'm the executive director of the
18 Biosimilars Council, and senior vice president of
19 the Generic Pharmaceutical Association. I have no
20 disclosures to make.

21 On behalf of our members, I would like to
22 commend the agency on its continued progress in its

1 implementation of the BPCIA. We greatly appreciate
2 the work the agency has done toward the creation of
3 a regulatory framework that maximizes patient
4 access to these medicines. And we thank this
5 committee in particular for yesterday's and today's
6 meetings, and the opportunity to provide comments.

7 The Biosimilars Council is a division of
8 GPhA, and it works to ensure a positive environment
9 for biosimilar products, and works to educate
10 policy makers, providers, and patients about
11 biosimilars. Member organizations include
12 manufacturers and stakeholders working to develop
13 biosimilar products with the intent to compete in
14 the U.S. market.

15 Education is really our core mission, and we
16 could not agree more with those on this committee
17 who have identified education around biosimilars as
18 an ongoing and critical need. The Council is
19 activity engaged on this front, and we stand ready
20 to work with the agency and other stakeholders as
21 we continue these efforts.

22 To that end, the Council recognizes that

1 development, production, and approval of
2 biosimilars must be grounded in sound science. As
3 part of the BPCIA, FDA was granted important
4 discretion to determine scientific requirements on
5 a case by case basis to ensure safety and efficacy.
6 In so doing, the agency relies upon the same
7 scientists that assess applications for new
8 biologics and who are experienced with the product
9 or product class.

10 The foundation of biosimilar development is
11 based on extensive analytical characterization of
12 the application, as well as any necessary
13 additional clinical trials. As such, the Council
14 is confident in the FDA and the process, and we
15 will continue to work to educate providers and
16 patients so they can be, too.

17 So that is why the Council has opposed
18 regulatory guidance requiring a statement of
19 biosimilarity on the product label. In most cases,
20 the scientific information necessary to approve a
21 biosimilar will primarily focus on establishing
22 biosimilarity between the two products. This means

1 that safety and efficacy information will come from
2 studies of the reference product rather than the
3 biosimilar.

4 Including a biosimilar product's
5 biosimilarity data, in addition to that of the
6 reference product, would only provide unnecessary
7 information and create confusion for prescribers
8 and patients. This differentiation between
9 biosimilars and their reference product risks
10 undermining the important provider education that
11 is already being done by the agency today.

12 Informing providers that biosimilars have no
13 clinically meaningful differences in terms of
14 safety, purity, and potency from the reference
15 product, but then turning around and requiring a
16 differentiator in the labeling, sends mixed signals
17 to providers responsible for establishing patient
18 familiarity and comfort with these products.

19 As with our position supporting non-unique
20 naming, we believe that policies that needlessly
21 differentiate between biosimilars and their
22 reference products not only create barriers to

1 provider and patient confidence and use, but also
2 make the education efforts that we all clearly
3 favor, and many here have spoken about, that much
4 more challenging and confusing for those very
5 audiences.

6 We encourage the agency to develop
7 regulatory policy that supports education around
8 biosimilars, rather than sow the seeds of
9 confusion. Thank you again for the opportunity to
10 speak today.

11 DR. SOLOMON: Thank you. Will speaker
12 number 14 step up the podium and introduce
13 yourself? Please state your name and any
14 organization that you represent for the record.

15 MS. SCHAEFER: First, I want to thank the
16 FDA for giving me this opportunity to speak
17 regarding the challenges with patient access to
18 biologic treatments. My name is Christine
19 Schaefer. I have two potential conflicts of
20 interest. In the past two years, I've been a paid
21 consultant for Eli Lilly and Novartis. For both
22 companies I participated in roundtable discussions

1 about access issues with other patient care
2 coordinators.

3 I've been employed by Central Dermatology in
4 St. Louis for 15 years as a biologic coordinator
5 involved with over 1200 patients who receive
6 biologics. The scope of my job includes dealing
7 with prior authorizations, coordinating with appeal
8 letters, teaching patients how to gain and maintain
9 access to biologic therapy, explaining to patients
10 insurance, copayment, deductibles, coinsurance,
11 out-of-pocket max, and specialty pharmacies. Also
12 a big part of my job is solving problems caused
13 when so many companies and people are involved, the
14 nurses, medical assistant, patient care
15 coordinators, and the doctor all involved in this
16 effort.

17 As we know, biologic drugs are very
18 expensive, and this creates a huge access problem.
19 Not one of our non-insured patients have ever paid
20 for a biologic out of pocket. Only one patient has
21 paid through her portion of Medicare Part D. Good
22 commercial insurance is a necessity. Indigent care

1 programs exist, but they run out of funding very
2 early on.

3 Commonly harmed are the many patients who
4 are underinsured. A typical problem is someone who
5 makes too much money to qualify for an indigent
6 assistance, but whose insurance is inadequate.

7 There are patient assistance programs that
8 are very unique for each drug. They are
9 complicated and confusing. Additional information
10 is always required. This includes W2 forms, pay
11 check stubs, and tax returns. Most patients are
12 unaware of the assistance programs.

13 Due to HIPAA, we are the ones to introduce
14 the patients to the assistance programs. As a
15 consequence, many patients think our office runs
16 these assistance programs, that we are the ones who
17 approve or deny their assistance. Sometimes we get
18 blamed for problems caused by others, like lack of
19 paperwork being completed, or faxes not being
20 received.

21 In my meetings with Novartis and Eli Lilly,
22 I have learned that many physician offices choose

1 to limit their involvement. In my opinion, very
2 few patients can navigate this process without
3 help. Both patient and doctors are forced to deal
4 with step edits that are predetermined sequences of
5 treatments. Usually that means older, cheaper,
6 before newer, more costly. This might include
7 methotrexate before being able to use Humira,
8 Stelara to finally get to Cosentyx or Taltz. The
9 same with the Otezla to Humira to Cosentyx.

10 Step edits are unique for each insurance
11 carrier, and they can change annually. They also
12 change in midstream. For example, if the patient
13 changes his or her insurance, or changes jobs.
14 This is very frustrating there is no coordination
15 between payers.

16 Medicare patients have limited income and
17 limited options. Co-pay cards are not allowed.
18 I've been told that Medicare patients are
19 disallowed for this because it can look like an
20 incentive to that biologic, but I'm not an expert
21 in that area.

22 Senior citizens and the disabled have

1 severely restricted options, Part B for Medicare,
2 80-percent of allowed charges are covered. Most
3 Midwest, where they're responsible for the
4 20-percent. And most Midwest patients cannot
5 afford that. Good commercial coinsurance is almost
6 always required.

7 Part D is unaffordable for the average
8 Midwest patient. Out-of-pocket expenses will
9 exceed over \$7000 a year because that includes the
10 initial coverage, the donut hole, the catastrophic
11 event. These coverage reoccur annually. Bottom
12 line, Medicare coverage is inadequate.

13 In concluding, psoriasis is a chronic, life
14 ruining disease on full display. There is no
15 question that the biologic drugs have dramatically
16 changed the lives of many psoriatics. Access is
17 limited by cost, complexity, and the unwillingness
18 of offices to properly staff for this activity.

19 Our first biologic was in 2002. Since then,
20 the process has become increasingly complicated and
21 expensive. I urge the committee to consider any
22 safe strategy that stabilizes cost, increases

1 access, and allows us to concentrate on patient
2 care. Thank you.

3 DR. SOLOMON: Thank you. Will speaker
4 number 15 step to the podium and introduce
5 yourself? Please state your name and any
6 organization that you represent for the record.

7 MR. BANFIELD: Good afternoon. My name is
8 Matt Banfield, and I'm speaking on behalf of the
9 Biosimilars Forum. The Forum appreciates the
10 opportunity to comment at today's FDA public
11 meeting of the Arthritis Advisory Committee.
12 Education of the advisory committee about the
13 science of biosimilars is critical.

14 The Biosimilars Forum is a non-profit
15 organization whose mission is to advance
16 biosimilars in the United States with the intent of
17 expanding access and availability of biological
18 medicines and improving healthcare. It is
19 comprised of manufacturers and other organizations
20 that work on a consensus basis to develop policy
21 positions to ensure the U.S. has a competitive,
22 safe, and sustainable biosimilar market, providing

1 more options to patients and physicians.

2 The Forum's mission includes providing
3 evidence-based information to inform and support
4 public policies that encourage access, awareness,
5 and adoption of biosimilars. The founding members
6 of the Forum represent the majority of companies
7 with the most significant U.S. biosimilars
8 development portfolios. Based on the most recent
9 publicly available data, about 70 percent of the
10 proposed biosimilar products currently advancing
11 with the FDA are sponsored by members of the Forum.

12 The introduction of biosimilars in the U.S.
13 can help expand access to high-quality treatment
14 options for clinicians and patients, as well as
15 reduce the cost to families, caregivers, payers,
16 and the healthcare system. To fulfill this
17 promise, policy makers and stakeholders must work
18 together.

19 Members of the Forum recognize that there is
20 a need for a sustained and unbiased biosimilars
21 education and advocacy program in the U.S. That's
22 why since its inception, the Forum has worked

1 collaboratively with FDA on policy issues, as well
2 as designing mechanisms to educate physicians and
3 the patients about the science behind biosimilars.

4 In addition, policies that support
5 biosimilar development and use are critical. This
6 includes reimbursing policies that establish
7 separate payment and coding for each biosimilar, as
8 well as an efficient and rigorous regulatory
9 pathway to approval that ensures safe and effective
10 products reach patients as soon as possible.
11 Adequate resources for FDA are also essential.

12 We anticipate more biosimilars coming to
13 market in 2016 and beyond. We appreciate that FDA
14 has worked hard to implement a new abbreviated
15 licensure pathway, taking steps that include
16 issuing multiple guidances on biosimilars, and we
17 expect more in the coming weeks and months.

18 The Forum looks forward to a continued
19 collaborative and excellent working relationship
20 with the agency. We encourage the agency to
21 continue to work with industry as this field
22 advances in the days ahead. Thank you.

1 DR. SOLOMON: Thank you. Will speaker
2 number 16 step to the podium and introduce
3 yourself? Please state your name and any
4 organization that you represent for the record.

5 MS. McCASLIN: Hi. My name is
6 Tiffany McCaslin, and I am here representing the
7 National Business Group on Health. I have no
8 financial disclosures to make. And for those who
9 were here yesterday, I apologize in advance for the
10 duplicative nature of my comments, as they are
11 consistent.

12 The National Business Group on Health
13 represents approximately 425 primarily large
14 employers, including 72 of the Fortune 100. These
15 employers voluntary provide group health plan
16 coverage and other health programs to over
17 55 million Americans who are employees, retirees,
18 as well as their families.

19 The Business Group and our members
20 appreciate the opportunity to state for the public
21 record that we strongly support a regulatory
22 environment, which favors a robust uptake of

1 quality, safe, and efficacious biosimilars. While
2 we appreciate that the complexity of competition
3 among large molecules differs from that of small
4 molecules, we support the notion that, in general,
5 competition fosters innovations that have the
6 potential to redefine markets.

7 We know that the availability of generic
8 drugs has reduced drug prices and increased patient
9 access to medicines, and we believe competition
10 among biosimilars may be able to do the same as
11 biosimilars competing for market share with each
12 other could be expected to lead to lower prices, as
13 well as potentially greater access to these
14 products.

15 To this end, we support the direction that
16 FDA has laid out with regard to biosimilar
17 development requiring that a biosimilar demonstrate
18 biosimilarity to a referenced product, and we
19 believe the FDA has put in place the appropriate
20 patient safeguards to permit data extrapolation to
21 inform biosimilar use.

22 On this point, we would encourage the agency

1 to engage in more stakeholder outreach to better
2 communicate to patients and consumers around the
3 safety considerations that are undertaken during
4 biosimilar development. Yesterday and today's
5 hearings have underscored the lack of information
6 available on this point, and we feel it is
7 critically important to close this information gap.

8 Again, we thank the committee for holding
9 this important meeting today, as well as
10 yesterday's, as well as all of those at FDA, CDER,
11 OND, and other sister agencies. We recognize the
12 significant challenges associated with your work,
13 and appreciate your continued commitment to a clear
14 pathway by which manufacturers may bring
15 biosimilars to market.

16 Additionally, we thank the sponsor here
17 today, as well as the sponsor yesterday, for your
18 commitment to innovating in the biosimilar space,
19 which we hope will lead to lower prices and
20 increased access to both life-improving and
21 life-saving medicines for patients, payers, public
22 programs, and other consumers. Thank you very

1 much.

2 DR. SOLOMON: Thank you. I think I speak on
3 behalf of the committee saying that we appreciate
4 the public comments that were made.

5 The open public hearing portion of the
6 meeting has now concluded, and we will no longer
7 take comments from the audience. The committee
8 will now turn its attention to address the task at
9 hand, the careful consideration of the data before
10 the committee, as well as the public comments.

11 Dr. Nikolov will now present the charge to
12 the committee.

13 **Charge to the Committee - Nikolay Nikolov**

14 DR. NIKOLOV: Thank you, Dr. Solomon.

15 Good afternoon. As we prepare for the
16 committee discussion and voting this afternoon, I
17 want to provide a brief reminder of the issues, the
18 regulatory framework and underlying decision making
19 for 351(k) marketing applications for proposed
20 biosimilar products and the questions to be
21 discussed and voted upon.

22 As discussed earlier, section 351(k) of the

1 Public Health Service Act defines the terms
2 "biosimilar" or "biosimilarity" to mean that the
3 biological product is highly similar to the
4 reference product, notwithstanding minor
5 differences in clinically inactive components, and
6 that there are no clinically meaningful differences
7 between the biological products and the reference
8 products in terms of safety, purity, and potency of
9 the product.

10 A 351(k) application must contain, among
11 other things, information demonstrating that the
12 proposed product is biosimilar to a reference
13 product based upon data derived from analytical
14 studies, animal studies, and a clinical study or
15 studies, unless FDA determines in its discretion
16 that certain studies are unnecessary in a 351(k)
17 application.

18 We acknowledge the open public hearing
19 comments, which not surprisingly are very
20 consistent with the sentiments and comments
21 provided yesterday. However, we would like the
22 committee to focus on the data presented and the

1 questions posed for the discussion and voting.

2 The issues that we would like the committee
3 to discuss are whether based on the totality of the
4 evidence, the applicant provided adequate data to
5 support the demonstration that GP2015 is highly
6 similar to the US-licensed Enbrel with respect to
7 the primary, secondary, and higher order
8 structures, post translational profile and in vitro
9 functional characteristics, purity stability and
10 potency, including TNF binding and neutralization;
11 also whether the clinical data submitted supports
12 the demonstration that no clinically meaningful
13 differences exist between GP2015 and US-licensed
14 Enbrel; and also whether the applicant provided
15 sufficient scientific justification to support that
16 there are no clinically meaningful differences for
17 the additional indications sought for licensure.

18 Consistent with these considerations, the
19 first question to the committee is to discuss the
20 adequacy of the analytical data to support a
21 demonstration that GP2015 is highly similar to
22 US-licensed Enbrel, notwithstanding minor

1 differences in clinically inactive components.

2 Then the committee will be asked to discuss
3 the adequacy of the data to support the
4 demonstration that there are no clinically
5 meaningful differences between GP2015 and
6 US-licensed Enbrel in the studied condition of use,
7 plaque psoriasis.

8 The last discussion question is whether the
9 applicant provided sufficient scientific
10 justification to support that there are no
11 clinically meaningful differences for the
12 additional indications sought for licensure. These
13 include rheumatoid arthritis, juvenile idiopathic
14 arthritis, psoriatic arthritis, and ankylosing
15 spondylitis.

16 The FDA is also requesting the committee's
17 discussion on concerns with extrapolation to
18 specific indications, and what additional
19 information would be needed to support this
20 extrapolation.

21 The last question is a voting question on
22 the committee's recommendation, whether based on

1 the totality of the evidence GP2015 should receive
2 licensure as a biosimilar product to US-licensed
3 Enbrel for the indications for which the U.S.
4 Enbrel is currently licensed and Sandoz is seeking
5 licensure. These includes rheumatoid arthritis,
6 juvenile idiopathic arthritis, psoriatic arthritis,
7 ankylosing spondylitis, and plaque psoriasis. The
8 voting will be followed up by discussion on the
9 reasons for your vote.

10 As a reminder, similar to yesterday's
11 approach to the question, that would be one
12 question on all the indications, not separate by
13 indication. With this, I thank you, and I will
14 turn the podium back to Dr. Solomon.

15 **Questions to the Committee and Discussion**

16 DR. SOLOMON: Thank you. So let me read the
17 first discussion question and make sure everybody
18 understands what we're being asked to focus on.
19 First, question number 1 is to please discuss
20 whether the evidence from analytical studies
21 supports a demonstration that GP2015 is highly
22 similar to US-licensed Enbrel, notwithstanding

1 minor differences in clinically inactive
2 components.

3 Are there any questions about the question?
4 Any comments about the question before we open it
5 up for discussion?

6 (No response.)

7 DR. SOLOMON: Okay. So this is really about
8 the analytics, and I think there were some issues
9 raised this morning around some of the analytics,
10 which we might want to revisit now in this forum,
11 issues around the assays, issues around the
12 disulfide bonds, other issues. Would
13 anyone -- Dr. Siegel?

14 DR. SIEGEL: I thought we had a good
15 discussion of the disulfide bond issue. There's
16 still some unknowns in terms of I think assays
17 weren't done post administration to find out what
18 happens. But I think I'm satisfied that the
19 analytical to efficacy issues, in vitro efficacy
20 issues, were dealt with.

21 DR. SOLOMON: Dr. Hancock?

22 DR. HANCOCK: William Hancock. As we

1 discussed this morning, this is a very complex
2 molecule. We had a comprehensive analytical
3 program, provide good I think characterization
4 information. I think moving forward, it would be
5 good to have, again, a discriminating quality
6 control program just to make sure that over the
7 years, the product stays within specifications,
8 because it is a very complex molecule.

9 DR. SOLOMON: Thank you.

10 DR. KOZLOWSKI: Steve Kozlowski, FDA. So
11 the data that's presented at these meetings are
12 sort of the analytical comparison. There is a
13 whole part of the review of the application about
14 the manufacturing process. There are a separate
15 set of specifications. There's process validation.

16 So this represents an exercise to show
17 similarity from the material that was manufactured
18 to the reference product, but this is not the sum
19 of the quality control. There is far more that
20 goes on to assure long-term batch to batch that the
21 product is controlled and reproducible.

22 DR. SOLOMON: Thank you. Dr. Ye?

1 DR. YE: I want to follow up around
2 disulfide bonding misfolded protein issue we
3 discussed this morning, because I do appreciate
4 that the company's comments on that, the reference
5 product has more misfolded forms, which seems to
6 correlate with the lower efficacy there.

7 But nonetheless, there is still 10 percent
8 or more or less misfolded products demonstrated by
9 this reverse hydrophilicity chromatography
10 analysis. And the question here is whether a
11 long-term administration of a product into patients
12 with that kind of misfolded protein is going to
13 have any adverse effects in disease situations that
14 has not been tested. Should that be a concern for
15 this committee?

16 DR. SOLOMON: When you say long-term
17 implications, can you be more explicit?

18 DR. YE: I would assume this is a chronic
19 disease that will require patients to take the
20 medicines repetitively over months or years. And
21 at the moment, there is really no very good
22 understanding of the impact of misfolded proteins,

1 in particular when it applies to patients in the
2 extracellular manner and how would that impact
3 patients health.

4 Just taking, for example, the neurogenic
5 disease area, it has been known that some
6 neurogenic diseases are actually affected because
7 misfolded proteins are secreted and propagated from
8 cell to cells such as the prion disease, et cetera.
9 And a particular case here, apparently it's really
10 not clear what exactly misfolded proteins they have
11 in the products represented; are there going to be
12 any toxic or toxicity effects there or not?

13 Because we really don't have a very good
14 technology at the moment to really compare the
15 precise misfolded proteins from, say, the reference
16 products to the GP2015, for example, in that regard
17 I think there's a gap there as to if we want to
18 extrapolate the applications into other diseases, I
19 think it should be more cautious with that.

20 DR. SOLOMON: A follow-up question. The
21 10 percent misfolding is true also for the
22 reference product. Is there --

1 DR. YE: The reference product has more than
2 that. It's like 16 something, if I remember
3 correctly.

4 DR. SOLOMON: Okay. But we do have
5 long-term data on the reference product.

6 DR. YE: Well the reference product has been
7 used in all those diseases, right. It has been
8 tested for each of the cases. Whereas the company,
9 Sandoz, is trying to extrapolate the application
10 based on testing in one clinical situation, and
11 they want to extrapolate that into other situations
12 where they haven't tested that. They don't have
13 data on that.

14 DR. KOZLOWSKI: Steve Kozlowski, FDA. So
15 again, as was mentioned by the chair, there is a
16 long history, and you saw many years and many lots
17 that were analyzed by Sandoz showing that in fact
18 there was a misfolded protein similar in many ways,
19 including the analyses like T7 peptides and other
20 ways. So it's not just they share the name
21 misfolded. They're misfolded in similar ways,
22 maybe not exactly the same.

1 Enbrel has been used in all those
2 indications for many, many years. So I think,
3 again, that you'd have to really say that this
4 misfolding is different in some fundamental way
5 that would in fact only show up in an indication
6 that wasn't studied. And that seems unlikely in
7 the scheme of things.

8 I understand the point that maybe this is
9 misfolded a little differently than that, even
10 though there is less, and that might be disease
11 specific. But that seems very unlikely to sort of
12 be misfolded in a different way that wasn't
13 detected by all these assays, and then that would
14 only play out in other indications.

15 DR. SOLOMON: Dr. Aronson? Or Diane, sorry.

16 MS. ARONSON: It's okay. I appreciated the
17 public testimony and heard some themes that some
18 are outside of our purview: labeling, naming. But
19 one issue that may or may not, I'd like to hear a
20 little bit more from the FDA, is the term "highly
21 similar" in relationship to interchangeability.

22 I think it was Dr. Christl that mentioned

1 that the agency would be working on that
2 terminology, and it seems to be a theme. What's
3 the process, or did you say this year, so the end
4 of this year or within a year, or just because it
5 seems so critical?

6 DR. CHRISTL: Right. In terms of sort of
7 clarifying around the terminology that you just
8 used, highly similar is a part of a definition of
9 biosimilarity, and biosimilarity is a part of the
10 definition for interchangeability. So
11 interchangeability is an additional standard that
12 encompasses biosimilarity and has additional
13 factors that need to be considered, including the
14 concept or the impact of switching or alternating
15 between the products.

16 Again, interchangeability guidance
17 demonstrating interchangeability is on FDA's
18 guidance agenda for this calendar year. I can't
19 give a timeframe because we have a very
20 complicated, multilevel clearance process. And
21 once it leaves the agency, we don't really have
22 that much control over the review timing of any

1 guidance that we would issue. But we're certainly
2 very actively working on it as an agency and within
3 HHS. And we know that this is a priority, and we
4 are very determined to get this out. We know how
5 important it is.

6 DR. SOLOMON: Thank you. There was some
7 discussion this morning between the results on
8 study 101 and 104. I don't know if we want to go
9 back and revisit any of those issues or if people
10 feel like we -- there was a change in the reference
11 material over time, and it created some
12 uncertainties. I don't know if people feel like
13 we've satisfied those questions. Don Mager?

14 DR. MAGER: Hi. Don Mager. Yes, I think
15 that the comments from the applicant, as well as
16 the FDA, addressed that very nicely. And I think
17 the clinical pharmacokinetic component of this
18 served to bridge both the reference product in both
19 the EU and the U.S. So I feel pretty comfortable
20 with that.

21 DR. SOLOMON: Okay.

22 DR. MAGER: I would like just to make a

1 comment. As I said yesterday, I think a
2 pharmacodynamic biomarker would have been very
3 useful in this case. When you have targeting an
4 endogenous circulating substance, it would pretty
5 much alleviate any concerns one might have with
6 in vitro activity studies if you can show that
7 you've similarly suppressed TNF alpha either
8 through the assay for free, which can be more
9 difficult. But in particular total ligand could be
10 measured and shown very clearly that you have the
11 same activity.

12 Is it required or essential? Absolutely
13 not. But in this case, it could have been useful
14 to have a pharmacodynamic marker. But
15 otherwise -- and of course, you have the clinical
16 studies that sort of trump that. You have
17 efficacy. You have the adverse events,
18 immunogenicity, all of that has been covered. So I
19 don't consider that an issue in this case. But
20 again, a pharmacodynamic marker would have served
21 to address any uncertainty left with the bioassays.

22 DR. SOLOMON: Okay. Any other issues,

1 discussion on this question, the analytical
2 studies?

3 (No response.)

4 DR. SOLOMON: If there's no more comments,
5 let me try to summarize. On this specific
6 question, Dr. Siegel commented that the in vitro
7 assays, while not perfect, were satisfactory.
8 Dr. Hancock appreciated the complexity of the
9 molecule and the excellent data that were
10 presented, and stressed the importance of a quality
11 control program. And Dr. Kozlowski reassured the
12 committee that a lot of those data were available
13 but hadn't been presented to the committee.

14 Dr. Ye talked about the misfolding and
15 wondered about the long-term implications of the
16 misfolding. Dr. Kozlowski talked a little bit
17 about the fact that there's misfolding likely, or
18 we know in the reference product, and that Enbrel
19 has been used for years across all the indications
20 being sought.

21 Diane Aronson talked about the questions
22 around interchangeability. And Dr. Christl

1 recognized that sometimes these guidances get out
2 of the realm of the agency, and we're all going to
3 wait patiently before we hear about the
4 interchangeability guidance. And Dr. Mager talked
5 about the importance of pharmacodynamic biomarkers
6 going forward.

7 Other comments before we move on?

8 (No response.)

9 DR. SOLOMON: Okay. Why don't we move to
10 the next question, so question 2. I'm going to
11 read it for the record, make sure everyone
12 understands it.

13 Please discuss whether the evidence supports
14 a demonstration that there are no clinically
15 meaningful differences between GP2015 and
16 US-licensed Enbrel in the studied conditions of
17 use, plaque psoriasis.

18 Again, here we're really talking about the
19 clinical data that were presented, not necessarily
20 the analytic data. There was some discussion,
21 Dr. Scher and others, about the differences in
22 response rate that were notable. I don't know if

1 people want to go there, or if people feel like
2 there some -- okay, Dr. Brittain?

3 DR. BRITTAIN: Yes, in terms of the
4 question, the results for the single clinical trial
5 were good. I thought the switch design was
6 helpful, and the low missing data rate was also
7 appreciated. With respect to the topic you just
8 raised, the fact that the success rate differed
9 from the two historic placebo-controlled trials
10 does add some concern about the interpretation
11 because at some level, we want to know how the
12 placebo group would have done in this study, and
13 you never know. But in this case, because the
14 rates are different in this study than the historic
15 study, we have even more uncertainty.

16 So it's a little harder to interpret than it
17 would have been, however, and I think this is the
18 important thing, I still feel quite confident that
19 the great majority, or certainly the majority of
20 the treatment benefit has been retained, and
21 perhaps the great majority.

22 DR. SOLOMON: Dr. Reimold?

1 DR. REIMOLD: Andreas Reimold. I just
2 wanted to add to that, then. Even if the
3 effectiveness isn't totally as expected, whether
4 it's a little better or even a little worse, we're
5 reassured that the safety is there. And
6 clinically, we can deal with the appropriate level
7 of effectiveness by using or not using the drug.

8 DR. SOLOMON: Dr. Margolis?

9 DR. MARGOLIS: I just have a comment, and I
10 should have mentioned this yesterday, too. I still
11 don't understand why the question says US-licensed
12 Enbrel when it was bridged to the European. Why
13 can't there just be transparency and say that the
14 study compared EU, or EMA that was bridged to the
15 US-licensed Enbrel? And that would have been true
16 yesterday as well. I mean, it's sort of a
17 misrepresentation, and certainly if this were in a
18 journal, it would get changed.

19 DR. NIKOLOV: I will try to clarify this.
20 This was certainly intentional and not in error. I
21 think the statute requires that the biosimilar is
22 biosimilar to a referenced product, which means no

1 clinically meaningful differences to the reference
2 product. I think this statement is predicated on
3 the fact that there is already an analytical and PK
4 bridge between the EU and U.S. product, so we can
5 rely on the data generated by the EU product to
6 make this conclusion.

7 DR. MARGOLIS: But it's still not
8 transparent, and you could still say as bridged to
9 the U.S. product. It's just misleading, right?
10 And for somebody who wasn't at this meeting, or
11 somebody who didn't see all the results, all they
12 see is this discussion, they're going to
13 assume -- just like yesterday, it's not any
14 different. They're going to assume that it was the
15 U.S. product, but it wasn't.

16 DR. NIKOLOV: We understand this, and this
17 is the reason we emphasized so much the additional
18 data that allowed us to make this bridge. Again,
19 in the interest of transparency, this was an
20 intentional phrasing of the question. And I want
21 to make clear the study was done with the European
22 Union-approved Enbrel. Again, we have sufficient

1 data to rely on those data to make this conclusion
2 or to ask the committee to comment on that.

3 DR. SOLOMON: Does the applicant want to
4 make any comments about these issues, because we've
5 been having some comments that they may have
6 some --

7 (No response.)

8 DR. SOLOMON: No? Okay. Other comments
9 about this question in hand, the clinically
10 meaningful, no clinically meaningful difference?
11 Do we feel like the clinical data that the
12 committee's been presented gives us confidence and
13 this question?

14 (No response.)

15 DR. SOLOMON: Other issues. Okay. Well, if
16 there are none, I'm going to summarize. But I
17 don't mean to close the conversation. If people
18 have any other comments, feel free.

19 So just to summarize. Dr. Brittain
20 commented on the low missing data rate as being a
21 very -- a marker of a high integrity study. There
22 was a high response rate, which is concerning, but

1 we've discussed this issue, similar response rates
2 in both arms. And I think we've heard comment on
3 that.

4 Dr. Reimold focused us on the safety and the
5 equal safety is reassuring, and the immunogenicity
6 as well. Dr. Margolis asked the question about why
7 it's phrased this way. I think we heard from
8 Dr. Nikolov that that's part of the agency's
9 purview to focus on US-licensed products. So
10 that's what we're heard.

11 Other comments on the clinical differences
12 before we move on?

13 (No response.)

14 DR. SOLOMON: Okay. We'll go to question 3.
15 Please discuss whether the totality of the data
16 provides adequate scientific justification to
17 support a demonstration of no clinically meaningful
18 differences between GP2015 and US-licensed Enbrel
19 for the following additional indications for which
20 US-licensed Enbrel is licensed: rheumatoid
21 arthritis, juvenile idiopathic arthritis, psoriatic
22 arthritis, and ankylosing spondylitis. If not,

1 please state the specific concerns and what
2 additional information would be needed to support
3 such a demonstration. Please discuss by indication
4 if relevant. Dr. Waldman?

5 DR. WALDMAN: Let me try a straw man.

6 (Laughter.)

7 DR. WALDMAN: These molecules are highly
8 similar analytically. They perform highly
9 similarly in clinical trials. The molecules have
10 the same mechanism of action. They bind TNF alpha
11 the same. And all of these indications are TNF
12 alpha mediated. The mechanism of action is the
13 same; they're all TNF alpha mediated.

14 So given the substantial data that we've
15 heard, the highly similar nature of the molecules
16 analytically and their clinical performance, it
17 seems to me, based on the similarity, the identical
18 mechanism of action, that extrapolation would be
19 reasonable.

20 (Laughter.)

21 DR. WALDMAN: I just want to see if you kill
22 this one also.

1 (Laughter.)

2 DR. SOLOMON: Thank you. I think the straw
3 man might survive. Dr. Miller?

4 DR. MILLER: I will agree with you this
5 time.

6 (Laughter.)

7 DR. MILLER: Don Miller. I want to thank
8 the FDA for educating us about the extrapolation
9 really being between products, not two different
10 indications so much. You have really convinced me.
11 And for the public people here, I want to say I'm
12 totally confident in the extrapolation to all the
13 indications.

14 DR. SOLOMON: Dr. Becker?

15 DR. BECKER: Mara Becker. Being that I'm
16 one of the representatives of the smaller sized
17 patient population, let me say for the record I
18 hope you reconsider maybe marking up those
19 25 milligram vials so we can use them until you're
20 done creating the formulation that allows us to use
21 smaller doses.

22 That being said, I completely agree with

1 Dr. Miller that the reality is that the data
2 presented today, it's convincing that this
3 application is similar enough to etanercept that I
4 would feel comfortable using it in the children
5 that I treat. However, at this time, I cannot
6 because there are plenty of kids less than 20 kilos
7 that we might need to use this on.

8 So with an indication for JIA down to the
9 age of two, you're limiting some patient
10 accessibility with the current formulations.

11 DR. NIKOLOV: This is Nikolay Nikolov. If I
12 can respond to this. Actually, FDA requires that
13 sponsors, not only of biosimilars but of biological
14 products, develop age-appropriate formulations or
15 presentations. In the case if they are not
16 submitted in the original application, the FDA
17 still requires that these are developed. So that's
18 under the authority of the PREA, which is Pediatric
19 Research Equity Act.

20 DR. BECKER: Thank you.

21 DR. SOLOMON: Dr. Horonjeff, and then
22 Dr. Spiegel.

1 DR. HORONJEFF: Just in terms of the
2 packaging, I do want to state that I appreciate
3 that the sponsor talked about how you involve
4 patients in your design and development. So I
5 think that's really wonderful, and I look to see
6 that in the formulations for the pediatric version.

7 DR. SOLOMON: Dr. Siegel?

8 DR. SIEGEL: I agree with your straw man
9 today as well. I think the situation from a TNF
10 biology point of view is very different than
11 yesterday, where we had to have a mechanism of
12 action that was not tested. The Fc-dependent
13 mechanism of action here, we're not really having
14 to deal with that. So I feel more comfortable,
15 even though I'm on the receiving end as a
16 rheumatologist, thinking about approving
17 indications for which they weren't tested, unlike
18 yesterday with the GI situation.

19 I want to thank the FDA. And also,
20 particularly, I thought the slide from the sponsor
21 about extrapolating based on the molecule not the
22 clinical indication, helps. Certainly all

1 indications -- all drugs that work for psoriasis
2 don't work for RA, but in the TNF sphere they do.
3 Just I thought some of the comments were a little
4 imprecise. And I want to clarify that there are
5 certainly lots of biologics that work in psoriasis
6 that are less effective. But in the TNF area, I
7 would agree.

8 DR. SOLOMON: Dr. Mager?

9 DR. MAGER: Yes, I am also very happy to
10 agree today, this time, to the straw man. But I
11 would go one step further, and I think that it goes
12 even beyond when you have a clear mechanism of
13 action. I think there are going to be compounds
14 brought forward in the future that may not
15 necessarily have the clear mechanism, or maybe not
16 completely understood. But when you have something
17 that's highly similar in exposure, highly similar
18 in molecular properties, and also have no
19 clinically meaningful differences, then I think
20 then extrapolation is scientifically sound, as has
21 been put forward by the FDA.

22 DR. SOLOMON: Good. Well, I would concur

1 with what's been said. I think the straw man seems
2 to have survived.

3 (Laughter.)

4 DR. SOLOMON: And I think that the
5 presentation by the applicant in light of the
6 presentation yesterday was very helpful to kind of
7 hear your thoughts about the differences and how to
8 contextualize what we heard today. And I think
9 that the FDA has done a really excellent job
10 educating the panel about what the questions at
11 hand are. So I appreciate that.

12 Other comments before I summarize?

13 (No response.)

14 DR. SOLOMON: So again, Dr. Waldman put
15 forth a straw man, which was agreed upon widely. I
16 think Dr. Becker's comment about age-appropriate
17 delivery systems is important, and Dr. Nikolov
18 assured us that that's an FDA mandate.
19 Dr. Horonjeff appreciated the patient focus of the
20 data. And Dr. Siegel made some important
21 clarifying comments about the mechanism and why TNF
22 is important here in the extrapolation to other

1 conditions.

2 Other comments before we move on? We could
3 move on to the voting question.

4 The question that we'll vote on today,
5 question 4, does the totality of the evidence
6 support licensure of GP2015 as a biosimilar to
7 US-licensed Enbrel for the following indications
8 for which US-licensed Enbrel is currently licensed,
9 and for which Sandoz is seeking licensure -- that's
10 actually a tongue twister I think -- RA, JIA, AS,
11 psoriatic arthritis, and psoriasis? Please explain
12 the reason for your vote.

13 Let me read what I have to read here. We'll
14 be using an electronic voting system for this
15 meeting. Once we begin the vote, the buttons will
16 start flashing, and will continue to flash even
17 after you have entered your vote. Please press the
18 button firmly that corresponds to your vote. If
19 you are unsure of your vote, or you wish to change
20 your vote, you may press the corresponding button
21 until the vote is closed.

22 After everyone has completed their vote, the

1 vote will be locked in. The vote will then be
2 displayed on the screen. The DFO will read the
3 vote from the screen into the record. Next, we
4 will go around the room and each individual who
5 voted will state their name and vote into the
6 record. You can also state the reason why you
7 voted as you did, if you want to.

8 If there are no questions or comments, we'll
9 now begin the voting process. And please press the
10 button on your microphone that corresponds to your
11 vote. And you'll have approximately 20 seconds to
12 vote.

13 (Pause.)

14 DR. SOLOMON: Everyone has now voted three
15 times.

16 (Laughter.)

17 DR. SOLOMON: The vote is now complete. Now
18 that the vote is complete -- oh, sorry.

19 DR. CHOI: For the record, we have 20 yes,
20 zero no, zero abstentions.

21 DR. SOLOMON: Now that the vote is complete,
22 we will go around the table and have everyone who

1 voted state their name, vote, and if you want to,
2 you can state the reason why you voted as you did
3 into the record.

4 Why don't we start with Dr. Siegel?

5 DR. SIEGEL: Sure. I voted yes. I thought
6 it was a very well presented, clearly presented
7 case, both by the sponsor and the FDA. And I hope
8 the marketplace will validate the hope of the
9 sponsor that this will increase access and decrease
10 price.

11 DR. YE: My name is Yihong Ye, and I vote
12 yes. And although I initially had some concern
13 about the presence of the misfolded species in the
14 GP2015, given the robust data of the efficacy and
15 also the demonstration of mechanism, I think I
16 agree with Steve that it's less likely that
17 this -- and also the misfolded species being
18 present in the reference product, and this probably
19 is going to be safe to put in patients with the
20 disease.

21 DR. SHILOACH: Joseph Shiloach. I vote yes.
22 It was a convincing case. Thanks.

1 DR. BERGFELD: Wilma Bergfeld. I voted yes.
2 I was very impressed with the completeness of the
3 presentation and the responses of FDA and the
4 sponsor. So thank you.

5 DR. ROBINSON: June Robinson. I voted yes.

6 DR. MARGOLIS: David Margolis. I voted yes.
7 But to be consistent with my comments from
8 yesterday, I would still encourage post-marketing
9 studies to demonstrate that extrapolation was
10 correct and the overall safety of the products long
11 term.

12 MS. ARONSON: Diane Aronson. I voted yes.
13 I thought that the analytical evaluation showed
14 that the biosimilar was highly similar. The
15 clinical data showed no meaningful differences, and
16 extrapolation was indicated.

17 DR. HORONJEFF: Jenn Horonjeff, and I voted
18 yes as well. I thought today was a much easier
19 decision for me to think about the extrapolation to
20 this group of diseases that we're evaluating. And
21 I will just note before we go that my feeling as a
22 consumer is that we've been sitting around here for

1 the past two days as scientists talking about this,
2 but when we leave here and go back into the real
3 world, the clinicians among us work less like
4 scientists sometimes and more like artists with
5 their patients and their treatment.

6 My concern being that if the physicians are
7 trying to work with their patient while painting
8 their Mona Lisa, that the payers may not understand
9 what we're thinking here, and may take away their
10 paint set and give them a box of crayons instead.
11 So I look forward to the FDA working to put out a
12 position statement about how we deal with
13 biosimilars so not only I can feel comfortable as a
14 consumer, but the public as well.

15 DR. OLIVER: Alyce Oliver. I voted yes. I
16 thought the data package by the sponsor and the FDA
17 was very complete.

18 DR. MILLER: Don Miller. I voted yes. I
19 also thank Sandoz for a very strong package.

20 DR. BECKER: Mara Becker. I voted yes. I
21 don't have anything to add.

22 DR. SOLOMON: Dan Solomon. I voted yes. I

1 think the non-medical switching is a major concern
2 of clinicians and policy makers that we have to
3 have some greater clarification from the agency.
4 If there is some statement to be made, make it
5 soon. I think the post-marketing surveillance
6 issues are going to be critical to understand the
7 validity of the extrapolation.

8 DR. JONAS: Beth Jonas. I voted yes. I
9 agree that today was a little bit easier than
10 yesterday with respect to extrapolation, but I
11 think we've all learned a lot about this process,
12 so it's been very valuable. And I think Sandoz did
13 an excellent job of educating us also about how to
14 think about these issues, so that was very helpful.
15 And I do think that we need to be very careful
16 going forward in how we look at these drugs, and
17 also how we assess how they do in the market after
18 the approval process.

19 DR. REIMOLD: Andreas Reimold. I voted yes
20 as well. I thought that it was convincingly shown
21 that the GP2015 is a biosimilar for Enbrel. I
22 think that the label -- going along with the

1 interchangeability issue, that the label should
2 clearly state that this is a biosimilar, not an
3 interchangeable drug.

4 DR. SCHER: Jose Scher. I voted yes. I
5 think the analytical data is extremely robust. The
6 clinical data, I voiced my concerns. Just a
7 comment to the FDA for the record. Maybe the way
8 to mitigate uncertainty is to have a placebo arm on
9 these studies. Also for the record, perhaps to
10 have a proportion of the patients participating in
11 these studies to be U.S. patients may help as well
12 with the design. But other than that, I think the
13 sponsor did a good job as well as the FDA.

14 DR. BILKER: Warren Bilker. I voted yes. I
15 thought that we were presented with very strong
16 evidence of biosimilarity of GP2015. But I, too,
17 would like to strongly encourage active
18 post-marketing surveillance for all of the
19 extrapolated indications.

20 DR. HANCOCK: William Hancock. I voted yes
21 on the strength of the package. I also appreciated
22 the strategy that set up the whole study, and I

1 thought the FDA made some very helpful guidance.

2 DR. BRITTAIN: Erica Brittain. I voted yes,
3 like everybody else. The results in the single
4 clinical trial were reassuring. I'm always going
5 to be uncomfortable with the extrapolating to the
6 indications without clinical data, but this seemed
7 to be the best-case scenario.

8 I do want to raise a possibility. You know,
9 there's nothing unethical about doing a randomized
10 trial in these other indications later. I don't
11 know who would ever do it, but it could be done.

12 DR. WALDMAN: Scott Waldman. I voted yes on
13 the robustness of the data package put together by
14 the sponsor. They're to be congratulated. And the
15 clarifying discussion by the FDA, the discussion
16 was wonderful. Thank you very much.

17 DR. MAGER: Don Mager. I voted yes, also
18 based on the strong packet that was submitted. I'd
19 like to commend the applicant both for their
20 insights, in addition to what's already been
21 mentioned, the insights into the misfolded protein
22 component, but also the unique study design. I

1 thought that was a real strength of the
2 application. And I again thank the FDA for a very
3 careful and thoughtful review and for their
4 discussion.

5 DR. SOLOMON: Before we adjourn, are there
6 any further comments from the FDA?

7 DR. NIKOLOV: Again, this is Nikolay
8 Nikolov. I would really like to thank the
9 committee for again an excellent discussion. I
10 feel that we focused more on the data today
11 compared to yesterday because I guess the
12 educational component of what we tried to convey
13 was well absorbed by the committee, even yesterday.

14 So we certainly appreciate the comments, and
15 we took notes, and we'll take these into
16 consideration, both from the committee and from the
17 open public hearing speakers.

18 I would like to thank my team, or our team,
19 for the hard work that they put to prepare for this
20 advisory committee in reviewing these products.
21 Certainly thank the sponsor for a very elegant
22 presentation of not very easy to describe issues.

1 And certainly, again, commend our advisory
2 committee staff who made these two advisory
3 committees work as smooth as they did. With this,
4 appreciate you being here and hope to see you
5 again.

6 DR. KOZLOWSKI: One additional comment. All
7 of you are experts in your fields, so as you've
8 been educated about this, it may be useful to think
9 about how you can explain this to your colleagues.
10 Because it takes some thinking about, about
11 changing this. And I think, you know some of you
12 for two days have been learning about this. Slides
13 will be posted. I think you're free to think about
14 how to share this with your colleagues.

15 **Adjournment**

16 DR. SOLOMON: Good. We adjourn. Thank you.

17 (Whereupon, at 3:09 p.m., the meeting was
18 adjourned.)
19
20
21
22