

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DEVICES AND RADIOLOGICAL HEALTH
OPHTHALMIC DEVICES PANEL

+ + + + +

TUESDAY, JUNE 10, 2008

+ + + + +

The Panel met at 8:00 a.m. in the Grand Ballroom of the Hilton Washington DC North/Gaithersburg, 620 Perry Parkway, Gaithersburg, Maryland, Neil M. Bressler, M.D., Acting Chairperson, presiding.

PRESENT:

NEIL M. BRESSLER, M.D., Acting Chairperson
STEPHEN A. BURNS, Ph.D., Voting Member
TIMOTHY B. EDRINGTON, O.D., Voting Member
JANINE A. SMITH, M.D., Voting Member
DONALD G. AHEARN, Ph.D., Consultant
TIMOTHY T. McMAHON, O.D., Consultant
WILLIAM D. MATHERS, M.D., Consultant
ALICE Y. MATOBA, M.D., Consultant
THOMAS W. RAASCH, O.D., Ph.D., Consultant
LORETTA B. SZCZOTKA-FLYNN, O.D., M.S.,
Consultant
RICHARD T. BUNNER, Consumer Representative
BARBARA A. NIKSCH, Industry Representative
KAREN F. WARBURTON, M.H.S., Executive
Secretary
MALVINA B. EYDELMAN, M.D., Director, Division
of Ophthalmic and Ear, Nose and Throat
Devices, FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

TABLE OF CONTENTS

<u>WELCOME:</u>	
Neil Bressler.....	3
<u>CONFLICT OF INTEREST:</u>	
Karen Warburton.....	3
<u>INTRODUCTIONS:</u>	
Neil Bressler.....	10
<u>AWARD PRESENTATION:</u>	
Malvina Eydelman.....	14
<u>DIVISION UPDATES:</u>	
Malvina Eydelman.....	16
<u>BRANCH UPDATES:</u>	
<u>INTRAOCULAR & CORNEAL IMPLANTS:</u>	
Kesia Alexander.....	28
<u>DIAGNOSTIC & SURGICAL DEVICES:</u>	
Kwame Ulmer.....	31
<u>VITREORETINAL & EXTRAOCULAR DEVICES:</u>	
Quynh Hoang.....	34
<u>EPIDEMIOLOGY BRANCH:</u>	
Danica Marinac-Dabic.....	36
<u>OPEN PUBLIC HEARING SPEAKERS:</u>	
Thomas Moore.....	58
William Ehlers.....	68
Elmer Tu.....	79
Thomas Henteleff.....	90
Glenn Davies.....	91
Arthur Epstein.....	99
Doyle Stulting.....	109
Simon Kilvington.....	116
Jim Thimons.....	124
John Lally.....	136
Mark Willcox.....	147
David Hansen.....	156
Francis Mah.....	164
William Benjamin.....	172
Louise Sclafani.....	174
Charlotte Joslin.....	186
Dwight Cavanagh.....	196
<u>FDA PRESENTATION</u>	
Sheila Kinsey.....	207
<u>DISCUSSION:</u>	215

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 8:00 a.m.

3 DR. BRESSLER: Good morning. I
4 would like to call this meeting of the
5 Ophthalmic Devices Panel to order. I'm Dr.
6 Neil Bressler. I'm the Chairperson of the
7 Panel today. I'm a professor of ophthalmology
8 at Johns Hopkins University and Chief of the
9 Retina Division there. My area of research is
10 in designing and implementing clinical trials
11 in retinal disease.

12 We will introduce the rest of the
13 Panel Members in a little while. If you
14 haven't already done so, please sign the
15 attendance sheets that are on the tables by
16 the doors. Ms. Warburton, on my right, the
17 Executive Secretary for the Ophthalmic Devices
18 Panel, will now make some introductory
19 remarks.

20 MS. WARBURTON: Good morning,
21 everyone. I would like to first note that Dr.
22 Bressler is serving as Acting Chair for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 duration of this meeting. I will now read the
2 Conflict of Interest Statement.

3 FDA Conflict of Interest Disclosure
4 Statement. Particular matters of general
5 applicability. Ophthalmic Devices Panel of
6 the Medical Devices Advisory Committee, date
7 of the meeting June 10, 2008.

8 The Food and Drug Administration is
9 convening today's meeting of the Ophthalmic
10 Devices Panel of the Medical Devices Advisory
11 Committee under the authority of the Federal
12 Advisory Committee Act of 1972. With the
13 exception of the industry representative, all
14 members and consultants of the panel are
15 special government employees or regular
16 federal employees from other agencies and are
17 subject to federal conflict of interest laws
18 and regulations.

19 The following information on the
20 status of this panel's compliance with federal
21 ethics and conflict of interest laws covered
22 by, but not limited to, those found at 18

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 U.S.C. Section 208 and Section 712 of the
2 Federal Food, Drug and Cosmetic Act are being
3 provided to participants in today's meeting
4 and to the public.

5 FDA has determined that members and
6 consultants of this panel are in compliance
7 with federal ethics and conflict of interest
8 laws. Under 18 U.S.C. Section 208, Congress
9 has authorized FDA to grant waivers to special
10 government employees who have financial
11 conflicts when it is determined that the
12 agency's need for a particular individual's
13 services outweighs his or her potential
14 financial conflict of interest.

15 Under Section 712 of the Food, Drug
16 and Cosmetic Act, Congress has authorized FDA
17 to grant waivers to special government
18 employees and regular government employees
19 with potential financial conflicts when
20 necessary to afford the Committee essential
21 expertise.

22 Related to the discussions of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 today's meeting, members and consultants of
2 this panel who are special government
3 employees have been screened for potential
4 financial conflict of interest of their own,
5 as well as those imputed to them, including
6 those of their spouses or minor children and,
7 for the purposes of the 18 U.S.C. Section 208,
8 their employers.

9 These interests may include
10 investments, consulting, expert witness
11 testimony, contracts, grants or CRADAs,
12 teaching, speaking or writing, patents and
13 royalties, and primary employment.

14 Today's agenda involves a
15 discussion of general issues concerning the
16 post-market experience with various contact
17 lens care products. The discussion will
18 include recommendations on contact lens care
19 product development topics, such as pre-
20 clinical testing and clinical performance
21 measures and labeling for contact lens and
22 lens care products. This is a particular

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 matters meeting involving general
2 applicability.

3 Based on the agenda for today's
4 meeting and all financial interest reported by
5 the panel members and consultants, conflict of
6 interest waivers have been issued in
7 accordance with 18 U.S.C. Section 208(b)(3)
8 and Section 712 of the Food, Drug and Cosmetic
9 Act to Drs. Donald Ahearn, Timothy McMahon and
10 Loretta Szczotka-Flynn.

11 Dr. Ahearn's waiver addresses
12 several consulting interests with firms at
13 issue. For two consulting interests, he
14 received less than \$10,001 for each. For two
15 other consulting interests, he received
16 greater than \$50,000 for each.

17 Dr. McMahon's waiver addresses a
18 consulting interest with a firm at issue for
19 which he received less than \$10,001.

20 Dr. Szczotka-Flynn's waiver
21 addresses a grant for which she and her
22 institution received less than \$100,000.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 These waivers allow the individuals
2 to participate fully in today's deliberations.
3 FDA's reasons for issuing the waivers are
4 described in the waiver documents which are
5 posted on FDA's website at
6 www.fda.gov/ohrms/dockets/default.htm. Copies
7 of the waivers may also be obtained by
8 submitting a written request to the agency's
9 Freedom of Information Office, Room 630 of the
10 Parklawn Building. A copy of this statement
11 will be available for review at the
12 registration table during this meeting and
13 will be included as part of the official
14 transcript.

15 Barbara A. Nicksch is serving as the
16 industry representative, acting on behalf of
17 all related industry, and is employed by
18 Visiogen, Inc.

19 We would like to remind members and
20 consultants that if the discussions involve
21 any other products or firms not already on the
22 agenda, for which an FDA participant has a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 personal or imputed financial interest, the
2 participants need to exclude themselves from
3 such involvement, and their exclusion will be
4 noted for the record.

5 FDA encourages all other
6 participants to advise the panel of any
7 financial relationships that they may have
8 with any firms at issue. Thank you.

9 Before I turn the meeting back over
10 to Dr. Bressler, I would like to make a few
11 general announcements. Transcripts of today's
12 meeting will be available from Neal Gross &
13 Company, who may be reached at (202) 234-4433.

14 Also, information on purchasing videos of
15 today's meeting can be found on the table
16 outside the meeting room.

17 I would like to remind everyone
18 that members of the public and the press are
19 not permitted around the panel area, which is
20 the area just beyond the speaker's podium.
21 The press contact for today's meeting is Karen
22 Riley. Karen, there she is waving. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would like to request that reporters please
2 wait to speak to FDA officials until after the
3 panel meeting has concluded.

4 If you are presenting in the open
5 public hearing session today and have not
6 previously provided an electronic copy of your
7 slide presentation to FDA, please arrange to
8 do so with Ann Marie Williams, and Ann Marie
9 is sitting right here in the front.

10 And finally, please silence your
11 cell phones. Thank you very much. Dr.
12 Bressler?

13 DR. BRESSLER: Thank you, Karen.
14 Good morning again. At this meeting, the
15 panel will discuss general issues that concern
16 the post-marketing experience with contact
17 lens care products. Before we begin, I would
18 like to ask our panel members and the FDA
19 staff that are seated at the table to
20 introduce themselves, and I thank you for your
21 time.

22 Please, state your name, your area

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of expertise, your position, and your
2 affiliation. And why don't we start with Dr.
3 Eydelman?

4 DR. EYDELMAN: Good morning.
5 Malvina Eydelman. I'm the Director of the
6 Division of Ophthalmic and Ear, Nose, Throat
7 Devices at FDA.

8 DR. MATHERS: I am William Mathers,
9 a Professor of Ophthalmology at Oregon Health
10 Sciences University in Portland. And I have a
11 research interest in cornea and external
12 disease, particularly acanthamoeba and
13 confocal microscopy diagnosis.

14 DR. RAASCH: I'm Tom Raasch. I'm
15 at the Ohio State University College of
16 Optometry. I have research interests in low
17 vision and visual optics and visual
18 performance.

19 DR. SMITH: I'm Janine Smith,
20 Deputy Clinical Director of the National Eye
21 Institute at NIH, and my areas of research
22 interest are cornea and uveitis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BURNS: I am Steve Burns. I'm
2 a Professor of Optometry at Indiana University
3 in Bloomington. My area of expertise is in
4 optics, adaptive optics, and retinal imaging.

5 DR. MATOBA: Alice Matoba. I'm
6 Associate Professor of Ophthalmology at Baylor
7 College of Medicine, and my area of interest
8 is cornea and external disease.

9 DR. EDRINGTON: Tim Edrington,
10 Cornea and Contact Lens Service, Southern
11 California College of Optometry.

12 DR. AHEARN: Don Ahearn. I'm at
13 Georgia State University and a Professor of
14 Microbiology an Adjunct at Ophthalmology at
15 Emory University. Areas of expertise include
16 mycotic keratitis and acanthamoebic keratitis.

17 DR. SZCZOTKA-FLYNN: Loretta
18 Szczotka-Flynn, Associate Professor of
19 Ophthalmology at Case Western Reserve
20 University, Department of Ophthalmology, in
21 Cleveland. And my areas of interest are
22 silicone hydrogel contact lenses,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complications with silicone hydrogels, and
2 epidemiology.

3 DR. McMAHON: I'm Tim McMahon. I'm
4 a Professor of Ophthalmology at the University
5 of Illinois at Chicago, and my area of
6 interest are contact lenses and corneal
7 topography.

8 MR. BUNNER: I'm Richard Bunner.
9 I'm the consumer representative. I serve as
10 the Government Affairs Chair for Prevent
11 Blindness America and I'm a retired public
12 health administrator with the Ohio Department
13 of Health.

14 MS. NIKSCH: I'm Barbara Nicksch,
15 and I'm serving as the industry
16 representative. I'm currently Vice President
17 of Regulatory Quality and Clinical Affairs at
18 Visiogen.

19 DR. BRESSLER: Thank you,
20 everybody. Next, Dr. Malvina Eydelman would
21 like to recognize Dr. William Mathers for his
22 service as Panel Chair. Dr. Eydelman?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. EYDELMAN: Dr. Mathers, it
2 gives me a great pleasure to present you with
3 this award. And to start out, I would like to
4 read you a letter of appreciation for your
5 terrific services to Ophthalmic Panel from the
6 Commissioner of the FDA, Dr. von Eschenbach.
7 And it reads:

8 "I would like to express my deepest
9 appreciation for your efforts and guidance
10 during your term as a member and Chair of the
11 Ophthalmic Devices Panel of the Medical
12 Devices Advisory Committee. The success of
13 this Committee's work reinforces our
14 conviction that responsible regulation of
15 consumer products depends greatly on the
16 experience, knowledge, and varied backgrounds
17 and viewpoints that are represented on the
18 committee. In recognition of your
19 distinguished service to the Food and Drug
20 Administration, I'm pleased to present you
21 with the enclosed plaque."

22 And the plaque reads: "U.S. Food

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and Drug Administration's Advisory Committee
2 Service Award presented to William Mathers,
3 M.D., Chairperson, in recognition of
4 distinguished service Ophthalmic Devices
5 Panel, Medical Devices Advisory Committee from
6 November 2003 to October 2007. Thank you
7 very, very much."

8 (Applause.)

9 DR. EYDELMAN: Thank you again.

10 DR. MATHERS: Thank you very much,
11 and it was a pleasure to serve on the Panel,
12 and I want to say that I have a great deal of
13 respect and regard for the professionals that
14 dedicate their lives to public service in this
15 regard. And thank you for the opportunity of
16 serving.

17 (Applause.)

18 DR. BRESSLER: Thank you, Dr.
19 Mathers. Now, we are ready to hear the
20 division updates, so I'll turn over again to
21 Dr. Eydelman.

22 DR. EYDELMAN: Good morning. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 would like to take this opportunity to update
2 you on our recent personnel changes in the
3 division. Since the last time we provided the
4 panel personnel changes in July of 2006,
5 Division of Ophthalmic and Ear, Nose and
6 Throat Devices had three departures.

7 As of January 2008, Captain Jim
8 Saviola, Chief of VEDB, has been selected as
9 the Ophthalmic and ENT Matrix Network Leader
10 for a one year detail. This is a new
11 organizational structure for the center to
12 enhance communication and collaborate between
13 various CDRH Offices. Lieutenant Commander
14 Lori Austin- Hansberry was Division's Project
15 Manager and was reassigned to CDC. Mr. Clay
16 Buttemere, Biomedical Engineer, resigned from
17 FDA to pursue missionary work in Macedonia.

18 While we had three departures, I'm
19 delighted to report that we had 17 outstanding
20 additions to our division's exemplary staff.
21 I would like to take this opportunity to
22 introduce them in chronological order. To all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 those present, please stand while you are
2 being introduced.

3 Dr. Denise Hampton has joined the
4 division in September of 2006. Dr. Hampton
5 received her bachelor's of science degree in
6 biology from the University of North Carolina
7 and her Ph.D. in microbiology from the
8 University of Virginia. Prior to coming to
9 the FDA, Dr. Hampton was a postdoctoral fellow
10 in the Laboratory of Allergic Diseases in the
11 National Institute of Allergy and Infectious
12 Diseases of the NIH. Thank you.

13 Mr. Andrew Yang joined the division
14 in September of 2006 as a biomedical engineer.

15 He obtained a bachelor's and master's degree
16 in mechanical engineering from The Cooper
17 Union for the Advancement of Science and Art.

18 His studies were focused on research and
19 invention.

20 Dr. Mridu Virmani graduated from
21 Utah State University with a Ph.D. in
22 biological sciences. She completed post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doctorates at the University of Illinois,
2 University of Michigan, Georgetown University,
3 and the National Institute of Health. She
4 worked at NIH from 1980 to '84. She joined
5 FDA in '94 in the Division of Radiological,
6 Abdominal, and Reproductive Devices. And we
7 were lucky to have her transfer to our
8 division in October of 2006. Dr. Virmani has
9 expertise in immunology, protein chemistry and
10 toxicology. Thank you.

11 Mr. Kwame Ulmer became Chief of
12 Diagnostic and Surgical Devices Branch in
13 October of 2006. Mr. Ulmer has earned a B.S.
14 in physics and master's in materials
15 engineering. He also holds a graduate
16 certificate in public management and most
17 recently completed an executive education
18 program through George Washington University.

19 He has nearly 10 years of federal government
20 experience and worked in the areas of
21 technical evaluation, project management,
22 scientific review and regulation of medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 devices.

2 Dr. Shu-Chen Peng joined the Ear,
3 Nose and Throat Branch of our division in
4 October of 2006 as a scientific reviewer. Dr.
5 Peng received her master's in audiology from
6 the University of Iowa, her Ph.D. in speech
7 and hearing science, and has completed a post-
8 doc in University of Maryland in cochlear
9 implants and psychophysics. Dr. Peng was
10 trained as a clinical audiologist and a speech
11 and hearing scientist. Dr. Peng reviewed
12 submissions related to auditory devices, such
13 as cochlear implants, implantable middle ear
14 devices, and hearing aids.

15 Dr. Kimberly Brown Smith is a
16 glaucoma-trained ophthalmologist who joined
17 our staff in October of '06. She has a
18 bachelor's degree in chemical engineering from
19 Howard University and a Ph.D. in biomedical
20 engineering from Johns Hopkins University.
21 She worked as an Assistant Professor in the
22 Biological Resources Engineering Department at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the University of Maryland before earning her
2 medical degree from Duke University and
3 completing her ophthalmology residency at the
4 University of Chicago. Prior to joining FDA,
5 she completed a glaucoma fellowship at Johns
6 Hopkins University.

7 Dr. Anjum Khan is an
8 Otolaryngologist and Head and Neck Surgeon who
9 joined the Ear, Nose and Throat Device Branch
10 in December of 2006 as a medical officer. Dr.
11 Khan completed her otolaryngology residency
12 training in head and neck oncological
13 fellowship from SUNY, Buffalo. Before joining
14 the FDA, Dr. Khan has practiced otolaryngology
15 head and neck surgery in the military, then at
16 George Washington University Hospital, and
17 finally in private sector. During her private
18 practice years, she obtained a Master's of
19 Public Health from Johns Hopkins University.
20 She remains involved in the training of
21 military otolaryngology residents at the
22 National Naval Medical Center and holds an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appointment of Adjunct Clinical Associate
2 Professor of Surgery at the Uniformed Services
3 University of Health Sciences.

4 In March '07, Ms. Shelley Buchen
5 joined FDA as part of ORISE Program. Ms.
6 Buchen came to us with 30 years of industry
7 experience in medical device evaluation.
8 Twenty-three of these were specific to
9 ophthalmic device research. Her areas of
10 expertise include biocompatibility evaluation
11 of ophthalmic devices, animal model
12 development, and assessment of cleaning and
13 sterilization validation requirements. Ms.
14 Buchen is the U.S. representative to
15 International Standards Organization. In that
16 capacity, she has been instrumental in the
17 development of numerous ISO standards for
18 ophthalmic devices and has served as project
19 leader for ISO 119795 on biocompatibility
20 evaluation of intraocular lenses.

21 Through ORISE Program in March '07,
22 we were also fortunate to obtain the expertise

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of a vitreoretinal surgeon, Dr. Sam Dahr. Dr.
2 Dahr graduated from Stanford University with a
3 B.S. in biological sciences and master's in
4 engineering-economic systems. He completed
5 his medical degree as well as internship in
6 internal medicine at University of Oklahoma
7 College of Medicine. Subsequently, he
8 completed fellowships in uveitis and retina at
9 the National Eye Institute and a surgical
10 fellowship in vitreoretinal diseases.

11 In April of 2007, Dr. Alex Beylin
12 joined our division. Dr. Beylin received
13 master's in electrical engineering from Moscow
14 Telecommunication Institute and a Ph.D. in
15 biomedical engineering from Technion - Israel
16 Institute of Technology. He worked as a
17 research fellow in Mount Sinai Medical Center,
18 New York, as a research scientist in
19 Instrutech Corporation, and as a Visiting
20 Assistant Professor at Stony Brook University.

21 His areas of expertise are in visual
22 neuroscience, electro-optics and photodynamic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 diagnostics.

2 Ms. Sushma Nair began working for
3 DOD in May 2007. She has assisted us with
4 administrative duties for the office. She
5 obtained a bachelor's degree in human
6 nutrition from University of Houston and is
7 currently pursuing her master's degree in
8 healthcare administration from University of
9 Maryland.

10 Dr. Daniel Clupper joined the
11 division in May of '07. Dr. Clupper received
12 a bachelor's in material science and
13 engineering from Purdue University. At
14 Clemson University, he earned an M.S. in
15 bioengineering for work involving the surface
16 modification of absorbable glasses. He then
17 obtained a Ph.D. in material science and
18 engineering at the University of Florida.

19 Dr. Clupper subsequently worked as
20 a postdoctoral research associate in the
21 Tissue Engineering Centre at the Imperial
22 College of London. He also conducted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 biomaterials research for Poly-Med, Inc. and
2 taught in the Biomedical Engineering and the
3 Material Science and Engineering Department at
4 Michigan Tech.

5 In July of '07, Dr. Lee Kramm
6 joined our division as an ophthalmic medical
7 officer. Dr. Kramm earned his bachelor's and
8 master's degrees in biomedical engineering
9 from Tulane University. He subsequently
10 obtained his M.D. from University of Miami and
11 completed ophthalmology residency at the Rocky
12 Mountain Lions Institute at the University of
13 Colorado. Dr. Kramm's training as a
14 biomedical engineer and an ophthalmologist are
15 currently being utilized by the Diagnostic and
16 Surgical Devices Branch.

17 Dr. Molly Ghosh started with the
18 division in September of '07. Dr. Ghosh holds
19 a Ph.D. in pharmacology and toxicology from
20 University of Louisiana and did a postdoctoral
21 research at Purdue University. She is board-
22 certified in toxicology by the American Board

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of Toxicology. Prior to joining FDA, she was
2 the Associate Director of Toxicology at NAMSA.

3 Dr. Ghosh was an Adjunct Associate Professor
4 of pharmacology and toxicology in the College
5 of Pharmacy at University of Toledo. For
6 several years, she has and continues to serve
7 as a United States representative to
8 International Standards Organization TC 194.

9 In February of this year, Ms. Anna
10 Postell assumed the position of microbiologist
11 in our division. Ms. Postell is a graduate
12 from University of Maryland. Her career has
13 encompassed working at the community hospital
14 level and Walter Reed Army Medical Center.
15 For the last 23 years, Ms. Postell worked in
16 the Department of Microbiology at the National
17 Institute of Health in Laboratory Medicine.
18 She is currently a member of the Intraocular
19 and Corneal Implants Branch.

20 About two months ago, Ms. Quynh
21 Hoang has rejoined the division as the Acting
22 Chief of Vitreoretinal and Extraocular Branch.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 She comes back to the division after five
2 years with the issues management staff in
3 Office of Surveillance and Biometrics where
4 she managed and led the center's assessment
5 and development of solution strategies for
6 numerous post-market medical device problems.

7 Previously, in her 10 years with
8 our division, she was in the Diagnostic and
9 Surgical Devices Branch and served as an
10 engineering reviewer, team leader, and a
11 refractive surgical devices expert reviewer.
12 She originally came to our division after a
13 three year stint with the Division of
14 Cardiovascular Devices where she reviewed
15 pacing and electrophysiology devices.

16 Prior to FDA, Ms. Hoang was a
17 research assistant at the Penn State Heart Lab
18 and a co-op engineer at IBM. She holds a
19 master's in bioengineering from Penn State and
20 a bachelor's in electrical engineering with
21 certificate in computer engineering from
22 Georgia Tech.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Finally, last but not least, Mr.
2 Rahul Ram joined us about a week ago as a
3 biomedical engineer. Mr. Ram earned his
4 bachelor of science from Johns Hopkins
5 University in biomedical engineering with a
6 concentration in electrical engineering. He
7 has been involved in several areas of
8 traumatic brain injury and stroke research,
9 including pilot interventional studies,
10 clinical trials, rehabilitation engineering
11 analysis and outcomes research.

12 I take great pride in my division's
13 outstanding and dedicated staff. The
14 individuals that I just introduced to you are
15 a terrific addition to our already excellent
16 team. Thank you, Chair.

17 DR. BRESSLER: Thank you for
18 helping us and the public. Next, Dr. Danica
19 Marinac-Dabic will give us the post-approval
20 study program update, and it sounds like we're
21 not going to do that.

22 DR. EYDELMAN: I would like to ask

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Kesia Alexander to give a branch update.

2 DR. BRESSLER: Thank you. I
3 appreciate the correction.

4 DR. ALEXANDER: Good morning. As
5 stated, my name is Kesia Alexander, and I'm
6 the Branch Chief of the Intraocular and
7 Corneal Implants Branch. Since our last
8 meeting in July of 2006, we approved PMA
9 P060011 on May 3, 2007 for Rayner C-Flex
10 Intraocular Lens, Model 570C.

11 This lens is indicated for primary
12 implantation for the visual correction of
13 aphakia in adults in whom a cataractous lens
14 has been removed by phacoemulsification. The
15 lens is intended to be placed in the capsular
16 bag.

17 At the last panel meeting in July,
18 we brought before you P050034, which was for
19 VisionCare's Implantable Miniature Telescope.

20 While the April 24th panel meeting intended to
21 reassess this PMA was postponed, we are
22 actively working with the sponsor to address

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 outstanding issues.

2 Also at the last panel meeting, I
3 mentioned that we were aware of an influx of
4 Toxic Anterior Segment Syndrome cases being
5 reported. In response to the TASS case issue,
6 which seems to appear sporadically over the
7 years, we have established a TASS proactive
8 program, which is intended to be an inter-
9 center collaborative program.

10 The goals of the program are to
11 assure relevant reporting of device-related
12 issues; provide trend analysis of TASS
13 occurrences; allow for appropriate testing of
14 suspected devices; offer support for potential
15 compliance action; and facilitate prompt
16 communication with the ophthalmic community.
17 While there is not enough time to address all
18 of the goals, I would like to highlight the
19 last goal centering on communication with the
20 ophthalmic community.

21 FDA, the American Society of
22 Cataract and Refractive Surgery, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 American Academy of Ophthalmology have joined
2 resources to create a TASS Communication Task
3 Force. However, it is very important that the
4 Agency is made aware of TASS cases through our
5 MedWatch Reporting System.

6 Therefore, in an effort to make
7 reporting easier, we have modified the
8 MedWatch instructions to aid in capturing
9 important information related to TASS. To
10 further assist in making reporting easier,
11 both ASCRS and AAO have put a link to our
12 MedWatch form and modified instructions on
13 their websites.

14 That concludes my updates. Thank
15 you.

16 DR. BRESSLER: Thank you. Next, we
17 will hear from Kwame Ulmer for Surgical
18 Devices and Diagnostic Branch.

19 MR. ULMER: Good morning. Since
20 our last Panel meeting, FDA approved the
21 following significant PMAs. In July of 2006,
22 P020050, Supplement 4, WaveLight Allegretto

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Wave Excimer Laser System. It was approved
2 for use in conjunction with the WaveLight
3 Allegro Analyzer. The device is indicated for
4 wavefront guided LASIK, for the reduction or
5 elimination of up to 7 diopters of spherical
6 equivalent myopia or myopia with astigmatism
7 with up to 7 diopters of spherical component
8 and up to 3 diopters of astigmatic component
9 at the spectacle plane. It was approved for
10 patients with documentation of stable manifest
11 refraction defined as less than .5 diopters of
12 preoperative spherical equivalent shift over
13 one year prior to surgery.

14 In August of 2006, P060004, Carl
15 Zeiss MEL 80 Excimer Laser System. The MEL 80
16 Excimer Laser System is indicated for use in
17 primary LASIK treatments for the reduction or
18 elimination of myopia of less than or
19 equivalent to 7 diopters with or without
20 refractive astigmatism or less than or equal
21 to 3 diopters with a maximum MRSE of 7
22 diopters in patients with documentation of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stable manifest refraction over the past year,
2 as demonstrated by change in sphere and
3 cylinder of less than .5 diopters.

4 In October of 2006, P970053,
5 Supplement 9, NIDEK EC-5000 Excimer Laser
6 System. The device is indicated for laser-
7 assisted in-situ keratomileusis (LASIK) for
8 the reduction or elimination of hyperopia
9 refractive errors from .5 to 5 diopters of
10 sphere with or without astigmatic refractive
11 errors from .5 to 2 diopters at the spectacle
12 plane with MRSE of 5 diopters or less. It was
13 indicated in patients with documented
14 stability of manifest refraction over the
15 prior year demonstrated by a change in MRSE no
16 greater than .5 diopters.

17 In July of 2007, P930016,
18 Supplement 25, VISX STAR S4 IR Excimer Laser
19 System and WaveScan System for monovision.
20 Approval for the STAR S4 IR Excimer Laser
21 System with variable spot scanning and
22 WaveScan Wavefront System, this device is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 indicated for wavefront-guided LASIK to
2 achieve monovision by the target retention of
3 myopia -1.25 to -2 diopters in the non-
4 dominant eye, a presbyopic my -- for patients
5 with myopic astigmatism up to -6 diopters MRSE
6 with cylinder up to -3 diopters, and a minimum
7 preoperative myopia in their non-dominant eye
8 at least as great as their targeted myopia,
9 with documented evidence of a change in MRSE
10 of no more than .5 diopters in both cylinder
11 and sphere components for at least one year
12 prior to the date of preoperative examination,
13 and, finally, with a successful preoperative
14 trial of monovision or history of monovision
15 experience.

16 Post-approval studies. As part of
17 this approval, AMO VISX has agreed to perform
18 a post-approval study for monovision. The
19 objective of the study is to estimate the
20 proportion of monovision LASIK patients who
21 experience visual disturbances that are severe
22 enough to limit activities or adversely affect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the patient's quality of life, especially
2 those associated with monovision.

3 You will shortly hear from Dr.
4 Danica Marinac-Dabic with more information.
5 Thank you.

6 DR. BRESSLER: Thank you again.
7 Next, we will hear from Quynh Hoang, the
8 Acting Chief for the Vitreoretinal and
9 Extraocular Devices Branch. Thank you.

10 MS. HOANG: Panel members,
11 colleagues, ladies and gentlemen, since the
12 last panel update, the Vitreoretinal and
13 Extraocular Devices Branch approved one
14 original pre-market approval application.

15 In November of 2006, we approved
16 Application P050031 from Paragon Vision
17 Sciences for the Paragon Z CRT (tisilfocon A)
18 Rigid Gas Permeable Contact Lenses for Corneal
19 Refractive Therapy. This device, like other
20 overnight orthokeratology contact lenses, is
21 under a post-market surveillance 522 order to
22 evaluate its use in patients younger than 18.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Marinac-Dabic, our next speaker, will
2 update you about this post-approval study.

3 Since our last update, members of
4 this branch have also been at the forefront of
5 FDA's response to two outbreaks of infectious
6 keratitis. These two, the Fusarium and
7 acanthamoeba outbreaks, are also the catalysts
8 for today's panel discussion on contact lens
9 care products.

10 The members of this branch look
11 forward to hearing your thoughts on our
12 proposals for changes to FDA guidance for
13 these products. We would like to also take
14 this opportunity to thank the CDC, ophthalmic
15 organizations, the individual doctors, and
16 everyone involved in our response to the
17 outbreaks.

18 And now, Dr. Marinac-Dabic.

19 DR. MARINAC-DABIC: Good morning,
20 ladies and gentlemen, Dr. Bressler, Dr.
21 Eydelman, distinguished Members of the Panel.

22 My name is Danica Marinac-Dabic. I'm the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Chief of Epidemiology Branch at the Center for
2 Devices and Radiological Health, Office of
3 Surveillance and Biometrics.

4 And the Epidemiology Branch is the
5 unit that is in charge of the review,
6 monitoring and tracking of the post-approval
7 studies, and also post-market surveillance
8 studies.

9 My goal for today is to give you a
10 brief update on the recent changes in the
11 Post-Approval Studies Program, and also in
12 Post-Market Surveillance Program, and also to
13 give you an update on the ophthalmic devices
14 post-approval studies, and post-market
15 surveillance studies.

16 In essence, there are two types of
17 FDA mandated post-market studies, and they are
18 listed on these slides. Those are post-
19 approval studies, and post-market surveillance
20 studies.

21 On the first glance, they look very
22 similar. Even their acronym looks very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 similar, and I know it's going to be very
2 confusing, especially for our colleagues that
3 work outside of the regulatory environment, so
4 I wanted to draw some distinction for you this
5 morning, just to help you understand what type
6 of mandates the FDA has to request the post-
7 market studies that can address specific post-
8 market question.

9 Of course, taking the second more
10 studied look at those studies, we know that
11 these are distinctive post-market tools for
12 which FDA has specific mandates, and they
13 certainly are different in many ways.

14 Let's start first with the post-
15 approval studies. And many of you know them
16 also as condition of approval studies. And
17 you will certainly, as Panel Members, very
18 actively participate in giving us a
19 recommendation for those conditions of
20 approval as you deliberate and decide on a
21 recommendation for new PMA applications that
22 we bring to your review and attention.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But the reason why we are asking
2 for those studies is really to ensure the
3 continuing evaluation of the safety and
4 effectiveness of the products that are being
5 marketed. And certainly, there are new
6 questions that are raised when -- arise when
7 the product is moving to a market, dealing
8 primarily with how the product performs under
9 more general conditions of use, under, you
10 know, the use of community types of hospitals
11 and physicians, and outside of the very
12 controlled clinical trial settings.

13 So these studies are ordered at the
14 time when FDA approves the product. On the
15 other hand, post-market surveillance studies
16 are the ones that give us a little bit more
17 authority to ask for studies when specific
18 post-market questions occurs any time when the
19 device is already being marketed. But
20 certainly, there are conditions under which
21 those studies can be ordered, and they are
22 listed on this slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 For the device to be candidate to
2 be -- to receive the 522 order, or this type
3 of study order, is that the failure of this
4 device would be reasonably likely to have
5 serious adverse health consequences, and also,
6 the device is intended to be implanted in the
7 human body for more than one year, and the
8 device is intended to be used to support or
9 sustain life, and to be used outside of the
10 user facilities.

11 And also, the FDA Amendment Act of
12 2007 added as a condition also that we can ask
13 for this type of studies for any device that
14 is expected to have a significant use in
15 pediatric population.

16 The new CDRH Post-Approval Study
17 Program encompasses design tracking oversight
18 and review responsibilities for the studies
19 mandated as a condition of approval. This
20 program helps ensure that well-designed post-
21 approval studies are conducted effectively,
22 and efficiently, and in the least burdensome

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 manner.

2 During the past couple of years,
3 the CDRH has made significant commitment of
4 resources to enhance the Post-Approval Studies
5 Program with the following major goals: to
6 enhance scientific rigor of post-market/post-
7 approval studies; to establish and maintain
8 accountability for post-approval study
9 commitments; to build post-approval study
10 information management system; to also build
11 bridges between the knowledge we gain post-
12 market with the pre-market device evaluation;
13 and also, to increase the transparency with
14 the public.

15 So these are the major areas in
16 which had already can reports to you the
17 earlier accomplishments: the areas of
18 oversight, tracking, review process, guidance
19 document, web posting, post-market advisory
20 panel updates, and building public health
21 partnerships.

22 As many of you know, in 2005, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Post-Approval Studies Program had been
2 transferred to the Office of Surveillance and
3 Biometrics, and since that time, we have
4 developed and instituted automated striking
5 system for post-approval studies commitments.

6 I would like also to highlight the
7 major changes in the pre-market review process
8 as it pertains to the post-approval studies.
9 We have added epidemiologist on each PMA
10 review team. That epidemiologist is a part of
11 the team from the very beginning, during the
12 pre-market review process, and it leads the
13 design of post-approval study.

14 Always with an eye toward what are
15 those post-market questions that may still
16 remain after the reasonable assurance of
17 safety and effectiveness is established pre-
18 market. Our job is to work very interactively
19 with the sponsor, and to help them design the
20 post-approval studies. And the bulk of that
21 work happens pre-market. We study our
22 expectations to the sponsors at that time. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work with them interactively, and if the
2 presentation -- if the PMA submission goes to
3 the Panel, we also present in Panel meetings.

4 The goal for both pre-market and
5 the post-market office is that we have at
6 least a good outline of the protocol finalized
7 at the time of the PMA approval, but
8 certainly, we are striving to achieve the goal
9 when all the full development of the post-
10 approval studies protocol will be developed
11 before the product is approved. And we also
12 agreed with the sponsor upon the study
13 timelines.

14 This is what happened with the
15 post-market review process. Upon the device
16 approval, the epidemiologist assumed the lead
17 responsibility in the review of the interim
18 and final reports, but PMA review team,
19 including our pre-market colleagues, continues
20 to be engaged and informed.

21 This is accomplished through
22 establishment of the so-called post-market

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 review team. The concept of epidemiology lead
2 and the post-market team availability is
3 envisioned to couple the epidemiologic
4 expertise in observational studies with the
5 product specific technical expertise from our
6 pre-market colleagues, and also post-market
7 colleagues that we have in our office, to
8 facilitate the knowledge sharing within the
9 center.

10 We also have issued the guidance
11 document to industry and the FDA staff to
12 clearly spell out our expectations for post-
13 approval studies. This is the link. The
14 device -- this guidance was published in 2006,
15 and also revised in 2007. The guidance also
16 provides very detailed study status
17 definitions that the FDA uses in order to
18 assess the status of the post-approval studies
19 post-market.

20 And these are our categories for
21 the reporting status: the report can be on
22 time, or overdue -- or overdue and received

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 after the due date; and also, the final post-
2 approval study report submitted categories
3 listed here as well.

4 In terms of how we evaluate the
5 progress of the study itself, this slide helps
6 clarify what are the status definitions, and
7 they are very clear. We negotiate, certainly,
8 some modifications, if necessary, with the
9 sponsor as we are trying to listen to their
10 challenges as they conduct studies post-
11 approval, but we certainly try to be very
12 objective in terms of how we define, and how
13 we measure the progress of the studies.

14 The other important piece that I
15 would like to share with you is, and we are
16 certainly very proud here in OSB and in CDRH,
17 is that we developed the post-approval studies
18 web page that went live on April 6, 2007. And
19 this website provides the reporting schedule
20 status, and the post-approval studies updates
21 for all studies that have initiated -- have
22 been initiated post-2005, and the link is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 provided here.

2 And this is how this web looks
3 like. You can see that there is a basic
4 information about the PMA number, the
5 applicant's name, and the device name. Then
6 we have also the category of medical specialty
7 for easier search for obvious database, the
8 date when PMA was approved, and we also have
9 the summary of the post-approval study
10 commitments.

11 We also share the time when the
12 protocol was approved, and what is the current
13 status of the studies. We certainly do not
14 share the proprietary information, because we
15 also feel that the information on details of
16 the post-approval studies is proprietary, and
17 we would like to make sure that the study is
18 completed, because -- before this is shared
19 with the public.

20 This is probably the part that will
21 interest the Panel the most. And I know that
22 many of you contributed very much to giving us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 great input in how post-approval studies
2 should be designed, but we found, in the past,
3 we didn't really close the loop and really let
4 you know how those post-approval studies are
5 progressing.

6 So we instituted two initiatives.
7 We started providing general post-approval
8 studies updates to the Panel. First was
9 presented in November of last year, and at
10 every Panel meeting, we will give you these
11 updates on how the studies are progressing,
12 certainly relevant from your area of
13 expertise.

14 The other type of updates is so-
15 called specific post-approval studies updates.

16 If there is a specific reason that we would
17 like to ask Panel input on the progress of the
18 post-approval studies, we would invite the
19 sponsor to join us, and we jointly will
20 present to you the progress of the study, what
21 might be our reasons, or specific questions
22 that we would like the Panel to help us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 address.

2 And certainly, these are two
3 examples that we already had, one in January,
4 2006, an update to Neuro Panel, and in
5 December 14, the update to OB-GYN Panel. We
6 did not have yet the update to the Ophthalmic
7 Panel.

8 And another piece of our strategy
9 is to engage our stakeholders in this
10 transformation. We have reached out to the
11 clinical community, CROs, industry, all other
12 relevant parties that are interested to help
13 us design the post-approval studies.

14 We held one conference last year,
15 and we will plan two conferences in 2008 and
16 2009.

17 Now, very briefly, I would like to
18 walk you through just to see how our post-
19 approval studies in ophthalmics arena are
20 progressing. As you can see, and I have
21 probably just five minutes to go through all
22 these slides, we have, since 2005, there have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been 15 PMAs or Panel Track Supplements that
2 have been approved, and this is their
3 distribution per year, along with the total
4 number, and four post-approval studies had
5 been requested and ordered at the same time.

6 This slide presents what are the
7 types of devices for which we had ordered the
8 post-approval study. And certainly, star
9 surgical companies, Visian ICL, we had two
10 studies requested in order for this particular
11 PMA that was approved in 2005. You also heard
12 Paragon CRT rigid gas that was approved in
13 2006. And the VISX Monovision LASIK that was
14 approved in 2007, they all have post-approval
15 studies.

16 Again, since this is a general
17 update, I don't want to go into a lot of
18 details with regards to the design and the
19 status of those studies, but I just wanted to
20 include these tables for your reference to see
21 what type of objectives, what type of study
22 design we utilized, and you can also see what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 type of study size population we would like to
2 see in these type of studies, and what is the
3 duration of the follow-up.

4 I would like also to say that there
5 are -- for this particular product, we have
6 ordered two post-approval studies: one that
7 will be the follow-up of the pre-market cohort
8 for five years; and the second study with an
9 objective to estimate the incidence of major
10 adverse events in the post-market environment
11 under conditions of general use, again, as a
12 perspective multi-center study where each
13 subject will be -- each subject's pre-
14 operative status for post-surgical outcomes
15 with a population of 5,000 U.S. patients
16 implanted in order to obtain the complete five
17 year follow-up for 2,000 patients.

18 And again, primary endpoints for
19 this study are cataract information, retinal
20 detachment, corneal decompensation, chronic
21 uveitis, persistent elevated IOP, secondary
22 surgical interventions, and duration, again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 five years, and the follow-up visits are
2 listed here.

3 Now, the study that Quynh just
4 alluded to a minute ago is a -- has a
5 relatively unique regulatory past, because
6 this is how the -- our 522 section, or post-
7 market surveillance studies and post-approval
8 studies program kind of cross paths this way.

9 And we have the study that is currently under
10 the 522 order, but -- whose results will be
11 sufficient for us to satisfy the company's
12 need for the post-approval study, as well.

13 So the objective of this study is
14 to compare incidence of microbial keratitis in
15 pediatric patients in adult patients that are
16 wearing corneal reshaping lenses. And again,
17 this is the controlled, multi-center,
18 retrospective, cohort study, randomly
19 selected, stratified by practice volume. We
20 also -- the population would involve 1,000
21 pediatric patients and 1,000 adult patients
22 with sufficient follow-up to provide 1,000

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient years of exposure in each group. And
2 they are randomly selected from lens orders of
3 selected practitioners. And again, the
4 primary study endpoints are listed here.

5 And also, the objective, okay, the
6 data collection would include the
7 practitioner's survey, would be conducted to
8 determine if patient is still wearing lenses,
9 patient's last visit, absence of, presence of
10 MK, and also if patients had keratitis during
11 the duration of the study.

12 And finally, one study that I would
13 like to draw your attention to, primarily
14 because of the study design, and of views of
15 the quality of life questionnaires that we
16 started utilizing that we are very proud of is
17 the study of monovision LASIK post-approval
18 study, with an objective to estimate
19 proportion of CustomVue Monovision LASIK
20 patients with visual disturbances and
21 diplopia.

22 And again, the study will be multi-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 center, single arm prospective study, again,
2 with each subject serving as its control.
3 Population would be 522 out of 15 U.S.
4 clinical sites, out of which two would be
5 academic sites, six corporate, seven private
6 practices. And the vision-related quality of
7 life of subjects would be the primary study
8 endpoints.

9 And so I just wanted to, again,
10 because of the time constraints, I would like
11 to just show you what type of quality of life
12 questionnaires we are using. NEI Refractive
13 Quality of Life (RQL), and also NEI-Visual
14 Function Quality of Life (VFQ), and also
15 invalidated diplopia questionnaire. Again,
16 this is very important piece in the way how we
17 improve the study designs in the post-approval
18 setting.

19 I think it comes as no surprise
20 that most of the studies, all studies are
21 observational. And this is how the reporting
22 status of the post-approval study currently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is. We have two studies for which report is
2 pending, and one study for which report is on
3 time, and one study for which report is
4 overdue, but received.

5 We also have these categories of
6 the progress status. Two of the studies,
7 again, are pending, because of the fact that
8 their reporting due date is still not due.
9 And we have one study on time, one study is
10 overdue.

11 Now, this is our vision for the
12 Post-Approval Studies Program. Again, we
13 would like to make sure that important post-
14 market questions are addressed; that studies
15 are realistic, and founded on good science,
16 and not led by the scientific curiosity of the
17 FDA staff, but really, the studies that will
18 have specific questions that the sponsor can
19 address. And we also would like to keep
20 stakeholders apprised, and to collaborate with
21 pre-market and post-market colleagues.

22 I would like also just to say that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the post-approval studies transformation,
2 vision and goals certainly represent higher
3 expectations by the CDRH, and those heightened
4 expectations often bring heightened concerns
5 about burdens, about work load, perceived
6 fairness, and added value. And it is up to us
7 and our stakeholders to discuss them openly,
8 responsibly, and collaboratively.

9 We understand the concerns, but we
10 have to put them into large context of asking
11 and answering the right post-market questions.

12 We welcome an exchange of ideas on diverse
13 methodologies that may be cost-effective,
14 innovative, and productive. We value all
15 analytical approaches and data sources, and
16 will give us high -- that will give us high
17 quality answers to the right post-market
18 questions.

19 So any input that you would like to
20 give us as Panel Members is very welcome. We
21 will continue moving these answers toward the
22 transformation of the Post-Approval Studies

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Program to ensure that this transformation is
2 a lasting one. Thank you.

3 DR. BRESSLER: Very nice. Thank
4 you for that update. We are now going to
5 proceed with the open public hearing portion
6 of the meeting. Public attendees are given an
7 opportunity to address the Panel to present
8 data, information or views that are relevant
9 to the meeting agenda.

10 Both the Food and Drug
11 Administration and the public believe in a
12 transparent process for information gathering
13 and decision making. To ensure such
14 transparency at the open public hearing
15 session of the Advisory Committee meeting, FDA
16 believes that it is important to understand
17 the context of an individual's presentation.

18 For this reason, FDA encourages
19 you, the open public hearing speaker, at the
20 beginning of your written or oral statement,
21 to advise the Committee of any financial
22 relationship that you may have with any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 company or group that may be affected by the
2 topic of this meeting.

3 For example, this financial
4 information may include a company's or a
5 group's payment of your travel, lodging, or
6 other expenses in connection with your
7 attendance at the meeting.

8 Likewise, FDA encourages you, at
9 the beginning of your statement, to advise the
10 Committee if you do not have any such
11 financial relationships. If you choose not to
12 address this issue of financial relationships
13 at the beginning of your statement, it will
14 not preclude you from speaking.

15 Now, as we have a number of public
16 speakers today, I would like to go over the
17 process to ensure a smooth transition from one
18 speaker to another. Ann Marie Williams will
19 direct you to the podium right next to the
20 podium here.

21 When you begin to speak, the green
22 light will appear, and a yellow light will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 appear when you have one minute remaining.
2 That's when you should be wrapping up. At the
3 end of the 10 minutes that each speaker has, a
4 red light will appear, and your presentation
5 should be completed as soon as that red light
6 appears. If not, unfortunately, we will have
7 to turn the microphone off to give everyone a
8 chance to be able to present.

9 So since we have a large number of
10 speakers throughout the entire morning, it's
11 very important to adhere to the 10 minutes.

12 The Panel will be given an
13 opportunity to ask questions of the public
14 presenters at the conclusion of the open
15 public hearing. If recognized by a Panel
16 Member, we would ask the public presenters to
17 approach a podium to answer the questions.

18 I'd like to remind public observers
19 at this meeting that public attendees may not
20 participate, except at the specific request of
21 myself, as the Chair.

22 So the first speaker will be Mr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thomas Moore. Mr. Moore, if you could come
2 forward to the microphone? And we would ask
3 each speaker, including yourself, to please
4 speak clearly to allow the transcriptionist to
5 provide an accurate transcription of the
6 proceedings of this meeting. Thank you. Mr.
7 Moore?

8 MR. MOORE: Thank you very much,
9 doctor. Good morning. My name is Thomas
10 Moore, and here is my financial disclosure.
11 My law firm currently represents a large
12 number of Americans from across the country
13 who contracted devastating corneal infections
14 associated with their use of ineffective
15 multi-purpose contact lens care solutions.

16 Every single one of my clients
17 wishes that they could be here today. They
18 have waited a long time to see critical public
19 health issues surrounding contact lens care
20 products taken up by FDA in what is a truly
21 public forum with both industry and non-
22 industry voices being heard.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm simply a messenger, probably an
2 inadequate one, attempting to relate to you
3 the thoughts and concerns of the many
4 individuals whose lives have been forever
5 changed by their use of this product, and the
6 hopes that future infections can be reduced,
7 or prevented entirely.

8 I can't more aptly describe the
9 latest outbreak of acanthamoeba infections as
10 prominent ophthalmologist, Dwight Cavanagh,
11 did recently when he called it a train wreck
12 in slow motion. Indeed, the train started to
13 wreck many years ago in the 1990s when multi-
14 purpose solutions began to replace proven
15 disinfection techniques, such as heat and
16 hydrogen-peroxide systems.

17 My clients are hard pressed, as lay
18 people, to understand why manufacturers
19 designed multi-purpose solutions that relied
20 on PHMB in concentrations that they knew full
21 well were ineffective against acanthamoeba.
22 My clients are understandably angered when

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they learn that FDA never required testing
2 against acanthamoeba.

3 They also find the International
4 Standardization Organization's justification
5 for omitting acanthamoeba as a challenge
6 organism in efficacy testing as nothing less
7 than bizarre. The ISO, an organization that
8 at least appears to be largely controlled or
9 influenced by industry, has consistently
10 maintained that there is a lack of consensus
11 as to how acanthamoeba testing should be
12 conducted.

13 ISO and FDA have thus reasoned that
14 such testing should not be required, even if
15 it means that products ineffective against
16 this devastating disease will be cleared for
17 marketing.

18 The great irony is that at the same
19 -- the same manufacturers who lobbied against
20 acanthamoeba testing as a regulatory hurdle
21 nevertheless conducted, and in many cases
22 published, the very tests that they said could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not be done in a scientifically valid manner.

2 Paradoxically, some would say
3 outrageously, manufacturers such as Advanced
4 Medical Optics and others, are even now using
5 such internal testing as the basis for
6 advertising claims that their current PHMB-
7 based products provide enhanced effectiveness
8 against acanthamoeba strains.

9 If the testing is valid, it should
10 have been required as a regulatory matter. If
11 it isn't, it should not be allowed as a basis
12 for marketing claims. It's as simple as that.

13 In 1998, one of your colleagues,
14 Debra Schonberg, and her colleagues were
15 prescient in their article entitled "The
16 Epidemic of Acanthamoeba Keratitis: Where Do
17 We Stand?" When they opined that the risk of
18 acanthamoeba keratitis was likely
19 underestimated, even after the 1980s outbreak,
20 and that a major risk factor was, quote, the
21 continued existence of ineffective lens
22 disinfection systems, indeed, it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 apparently known early in the marketing of
2 PHMB-based solutions that these products
3 showed great variability in their
4 effectiveness against acanthamoeba
5 trophozoites, and little or no effect against
6 acanthamoeba cysts.

7 It should have, therefore, come as
8 no surprise when starting in 2003, the same
9 year that Complete Moisture Plus was launched
10 in this country, ophthalmology centers started
11 to see increasing cases of AK at their
12 institutions.

13 These outbreaks, which were
14 reported at numerous U.S. and international
15 ophthalmology meetings, should have been a
16 wake-up call, but several years later, and
17 after two major lens solution recalls, testing
18 and labeling standards have not changed.

19 My clients wholeheartedly applaud
20 FDA for convening this meeting. However, I
21 must tell you that there is an element of
22 mistrust as to the motives and objectives of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA and the contact lens branch, in
2 particular. This has largely emanated from a
3 lack of transparency on the part of FDA
4 insofar as the general public is concerned.

5 It is a perception based partly on
6 statements by industry and FDA officials that
7 at least appear to place much of the blame for
8 these infections on so-called consumer
9 noncompliance, as opposed to the lack of
10 solution efficacy.

11 I will tell, you ladies and
12 gentlemen, that the vast majority of my many
13 clients were very diligent in their use and
14 care of contact lenses. They did not sleep in
15 their lenses, or reuse or top off solutions,
16 or rinse their cases with tap water. Some
17 rubbed, and some didn't. And on that score,
18 they weren't any more confused by AMO's no rub
19 marketing claims than their optometrists were.

20 The minority who AMO and others
21 would likely consider as noncompliant patients
22 wonder why a company would market a solution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with such a slim margin of safety, and without
2 any indication on the label of the risk of
3 acanthamoeba infections.

4 In addition to feeling that they
5 are being blamed for their infections, my
6 clients are concerned that industry's role on
7 these outbreaks has not been sufficiently
8 scrutinized in a fair, objective, and public
9 forum, while FDA maintains a close working
10 relationship with manufacturers.

11 It has been unwilling, until today,
12 to allow the public access to important data
13 about these products, or a voice in the debate
14 on these critical public health issues.

15 By way of example, my clients made
16 a series of Freedom of Information Act
17 requests over a year ago seeking access to
18 documents concerning the evolution of testing
19 standards, the clearance of the AMO Complete
20 Moisture Plus product for marketing, and
21 events leading up to the recall of that
22 product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Most of these requests have not
2 been complied with. An appeal by my clients
3 to the Department of Health and Human Services
4 designed to expedite access to these materials
5 was denied on the grounds that there was,
6 quote, no showing of an immediate threat to
7 the public health.

8 In addition, FDA has, at least thus
9 far, deemed confidential all briefing
10 documents submitted by it and the solution
11 manufacturers to this Advisory Committee.
12 Perhaps that will change in the coming days.

13 FDA's conduct in this regard is
14 inconsistent with my long experience in
15 dealing with other divisions and branches.
16 Similar information, including safety and
17 efficacy data in new drug applications, for
18 example, is routinely furnished to the public
19 by the drug branches in a timely manner, and
20 with few redactions.

21 So there is no question in my mind
22 that FDA has the discretion to release these

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 records, and I hope they will do so soon.
2 Inevitably, a lack of transparency necessarily
3 leads to rumors. The notion that FDA has
4 delayed changes to testing standards because
5 most marketed multi-purpose solutions would
6 not pass those tests is a common perception;
7 that FDA delayed calling this Committee
8 meeting for months or years at the request of
9 manufacturers is another.

10 Still another is that FDA and CDC
11 disagreed vehemently with each other as to
12 whether the recall of the AMO product should
13 be voluntary or subject to FDA Class I Recall
14 Protocols. Now, these perceptions may be
15 accurate or inaccurate. But without
16 transparency in the regulation of the contact
17 lens care products industry, victims of
18 corneal infections related to solutions may
19 see the Agency's relationship with industry as
20 collusive, rather than cooperative.

21 FDA often talks in terms of acting
22 in the interest of stakeholders. We should

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 all hope that FDA considers its most important
2 stakeholders to be consumers who use the
3 products the Agency regulates.

4 There is an enormous opportunity
5 that presents itself today which goes far
6 beyond politics, corporate profits, or lawsuit
7 recoveries. There is an opportunity for FDA
8 to change the direction of the contact lens
9 care industry, and by so doing, make contact
10 lens wear substantially safer for millions of
11 Americans.

12 This can only be achieved by adding
13 to the diversity of voices FDA listens to,
14 starting with this Committee, and by
15 instituting a transparent process in which
16 testing and labeling issues are carefully
17 considered, and resolved in a way that best
18 protects the public health.

19 My clients and I thank you for this
20 opportunity to speak, and simply ask that FDA
21 and the Advisory Committee make the health of
22 consumers their number one priority. Thank

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you.

2 DR. BRESSLER: Thank you, Mr.
3 Moore. Our next speaker will be Dr. William
4 Ehlers.

5 DR. EHLERS: Thank you. Thank you,
6 Dr. Bressler, members of the Ophthalmic
7 Devices Panel, I'm Dr. William Ehlers. I'm a
8 corneal specialist at the University of
9 Connecticut Health Center, and retro-
10 refractive surfaces at that institution. I am
11 past president of CLAO, Contact Lens
12 Association of Ophthalmologists, and I have a
13 special interest in contact lens safety, and I
14 have personally conducted research on this,
15 and written and lectured extensively on the
16 subject.

17 I am particularly pleased to be
18 here today to address this Panel, and I
19 congratulate the FDA on attempting to address
20 this very difficult problem. I am here today
21 representing the American Academy of
22 Ophthalmology, the Contact Lens Association of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Ophthalmologists, the Cornea Society, and the
2 American Society of Cataract and Refractive
3 Surgery.

4 The significance of this
5 representation is that, over the last several
6 months, representatives from all of these
7 organizations have been working together to
8 develop guidelines, some of which are
9 recommendations to the industry and the FDA,
10 and some of which are recommendations to
11 consumers.

12 I will be addressing the
13 recommendations that we have developed for
14 consumers. And this is the first time in at
15 least a decade that four large ophthalmic
16 organizations have come together to develop
17 recommendations with regard to contact lens
18 care.

19 I have no financial interest to
20 disclose, and will proceed to the
21 recommendations.

22 First of all, we recommend that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 consumers observe proper personal hygiene.
2 Before handling contact lenses, wash your
3 hands with soap and water, rinse and then dry
4 them with a lint-free towel. This seems self-
5 obvious, but it is often omitted.

6 We recommend that wearers avoid
7 contact with water. This includes removing
8 contact lenses before swimming or using a hot
9 tub. All the water that we get in
10 recreationally is contaminated. Contact
11 lenses similarly should not be rinsed or
12 stored in water, either tap water or
13 supposedly sterile water.

14 Lens cases, likewise, should be
15 rinsed with lens care solution, not with tap
16 water, then allowed to air dry before using
17 again. You should always use appropriate
18 solutions. Never put your lenses in your
19 mouth to wet them. This always gets a shudder
20 from people, but it does happen, believe it or
21 not. Saliva, obviously, is not a sterile
22 solution.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Do not use saline solution or re-
2 wetting drops as a disinfectant. They are not
3 disinfectants. They are re-wetting drops and
4 saline, and it is important that consumers
5 understand that difference. We recommend that
6 all contact lens wearers follow the schedule
7 prescribed by their eye care professional.

8 Wear and replacement schedules are
9 developed for specific products and solutions,
10 and should be followed by all contact lens
11 wearers. The specific contact lens cleaning
12 and storage guidelines should be made clear by
13 the eye care professional, and by the
14 manufacturers of care systems.

15 We recommend that patients rub
16 their lenses during the cleaning process. We
17 feel that this little bit of mechanical
18 stimulation results in additional cleaning,
19 and that we then recommend they be rinsed with
20 the lens solution before soaking them. The
21 rub and rinse method is considered superior to
22 simply using the no-rub solutions as a no-rub

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 approach.

2 We also recommend that careful
3 attention be paid to case care and
4 replacement. Contact lens cases should be
5 rinsed with solution and allowed to air dry
6 before they are reused. The empty case should
7 be left face down to dry. We recommend that
8 contact lenses be replaced regularly. I
9 recommend that my patients replace them at the
10 time they replace their solutions, and that
11 that be at least every three months.

12 Also, if your case is damaged, it
13 should be replaced. Also, the case should be
14 cleaned regularly. I see my patients
15 sometimes pull a case out of their pocket or
16 purse that makes me cringe, and I tell them
17 that putting a clean contact lens into that
18 case is like putting freshly cooked food on
19 the plates from last night's dinner, and that
20 usually makes the point.

21 We recommend that solutions be
22 handled with care. You should never reuse old

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solutions or top-off the solutions in the
2 case. Do not transfer contact lens care
3 solutions to smaller travel size containers.
4 Any time you transfer a solution, there is a
5 risk of contamination, and that can lead to
6 eye infection.

7 Never allow the tip of the solution
8 bottle to touch any surface. Keep the bottle
9 tightly closed when not in use. If you are
10 going to store your lenses for an extended
11 period of time, you need to consult the
12 instructions that came with the lens care
13 product to see how long they can be stored
14 before they need to be re-disinfected prior to
15 using.

16 In no case should lenses be stored
17 more than 30 days without re-disinfection.

18 Lens selection is also an important
19 aspect of safe lens care. Consumers should
20 know that single use daily disposable contact
21 lenses are the safest type of soft contact
22 lens in terms of reducing infections with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 applications, and that rigid gas permeable
2 lenses are a safer alternative than any type
3 of soft contact lens.

4 It is important that consumers
5 understand the risk of extended wear.
6 Extended wear lenses may be an appropriate
7 choice for some consumers, but they should
8 understand they are selecting a modality that
9 does carry with it increased risk of
10 infections.

11 You should only wear lenses
12 approved for this lens wear modality, and only
13 with the approval of their eye care
14 professional. The lenses that are used for
15 orthokeratology for overnight use are likewise
16 subject to increased risk.

17 If you have an eye infection, use
18 good common sense. See your ophthalmologist
19 immediately. Ophthalmologists are trained
20 medically and surgically to treat eye
21 infections, injuries and diseases without
22 delay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Regular appointments with an
2 ophthalmologist or an eye care professional
3 are also necessary, but it is important that
4 patients know what the symptoms of lens
5 problems are, that, if they experience
6 redness, pain, tearing, increased sensitivity,
7 that they should remove their lenses, and see
8 their ophthalmologist. If they smoke, they
9 should stop smoking. Several studies have
10 shown that to be a risk factor.

11 The importance of regular
12 examinations with their eye care professional
13 cannot be over-emphasized. We recommend at
14 least yearly, more often if needed.

15 Contact lenses are a prescription
16 item that do expire, typically within one
17 year. You should see your eye care
18 professional yearly to ensure that you have an
19 accurate and appropriate prescription.
20 Regular examinations are also an important
21 means of reinforcing proper lens care.

22 Ophthalmologists remain concerned

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the process of passive verification,
2 where third-party sellers notify eye care
3 professionals if a customer requests for a
4 refill. Eye care professionals then have a
5 limited amount of time, typically eight
6 business hours, to either verify, or say, no,
7 this is not an appropriate prescription.

8 If they are not contacted, some
9 sellers presume the prescription is correct,
10 and then complete the sale.

11 I recently had a patient come into
12 my office who has been getting her
13 prescriptions refilled from an office which
14 has not existed for eight years. I know,
15 because I was with that office. Passive
16 prescription verification can lead to
17 fulfillment of inappropriate prescriptions and
18 complications.

19 It is important to recognize that,
20 as we all share goals, we share
21 responsibilities. The contact lens industry,
22 researchers, the FDA and eye care

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 professionals must work together to ensure
2 safe contact lens wear. Eye care
3 professionals, in particular, must educate
4 their patients with regard to the best contact
5 lens practices.

6 But just knowing the risk factors
7 and knowing the proper thing to do is not
8 enough. Studies have shown up to 79 percent
9 of patients are noncompliant with at least
10 some aspect of their lens wear, care, or
11 replacement schedules.

12 The partnership of lens wearers and
13 eye care professionals is vital in that,
14 often, there is a slow drift away from good
15 practices. If there is no immediate
16 consequence, this slow drift continues. If
17 there is immediate consequence, it's a
18 learning experience. I say putting
19 unneutralized peroxide in your eye is a
20 learning experience. If the results are not
21 immediate, it takes longer.

22 Lastly, this slide is intended to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 represent the complex interplay of all of
2 these elements that contribute to safe lens
3 wear. I would like to point out that, in this
4 particular case, only the patient has full
5 control of their wearing schedule, their
6 replacement schedule, their lens care regimen,
7 and treatment of pre-existing conditions such
8 as dry eyes, or blepharitis.

9 So the importance of the
10 relationship between the eye care professional
11 and the patient cannot be overstated. I thank
12 you for your attention.

13 DR. BRESSLER: Thank you, Dr.
14 Ehlers. Our next speaker will be Dr. Elmer
15 Tu.

16 DR. TU: Good morning, Dr.
17 Bressler, and members of the Ophthalmic
18 Devices Panel. I appreciate this opportunity
19 to address you concerning contact lens
20 disinfection systems, and our recommendations
21 for preclinical testing and development.

22 My name is Elmer Tu. I am an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Associate Professor of Clinical Ophthalmology
2 at the University of Illinois at Chicago, Eye
3 and Ear Infirmary. I'm currently a practicing
4 cornea specialist, and was the primary
5 clinician involved in describing the ongoing
6 outbreak of acanthamoeba keratitis in
7 Illinois, cited in the CDC's investigative
8 time line released in May of 2007.

9 I am here representing a consortium
10 of four groups: The American Academy of
11 Ophthalmology, The American Society of
12 Cataract and Refractive Surgery, Contact Lens
13 Association of Ophthalmologists, and The
14 Cornea Society.

15 As far as disclosures, I have
16 received honoraria and travel expenses from
17 both Allergan and Alcon for educational
18 activities which are unrelated to my testimony
19 today. Our related research, personal
20 research, has been funded by private nonprofit
21 groups, as well as federal grants.

22 My role this morning is to discuss

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the two recent outbreaks of rare contact lens-
2 related corneal infections, and their
3 implications for the development and
4 preclinical testing of contact lens products.

5 Before we address contact lens solutions,
6 however, it should be acknowledged that
7 experts agree that the estimated rates of
8 microbial keratitis, in general, have not
9 substantially declined, despite the evolution
10 of contact lens disinfection systems over the
11 past two decades. And in fact, this has been
12 proven in studies from 1989 to 1999, and there
13 is very little evidence today that that has
14 changed.

15 Beyond that, research shows that
16 the disinfection regimen is but one element of
17 the risk of infection. Other factors include
18 the extended wear of lenses, reduced tear
19 exchange under the lens with current designs,
20 various environmental factors, as well as poor
21 consumer hygiene. And we feel that, in
22 addition to the contact lens disinfection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 systems, that additional research is required
2 into all of the factors involved.

3 In regards to currently approved
4 contact lens disinfection systems, we have a
5 few issues that we would like to have
6 recommendations on. One is a discard date on
7 lens care products, in addition to their
8 established expiration dates, with special
9 attention to disinfection efficacy once those
10 products are opened, as well as the
11 possibility of secondary contamination.

12 Further, on extended storage of
13 lenses, the FDA should encourage industry to
14 conduct additional research to verify the
15 duration of the safe extended storage of
16 lenses after a single disinfection cycle.

17 With regards to labeling of contact
18 lens solutions for use with specific lens
19 types, our feeling is that, although corneal
20 staining with certain combinations of
21 disinfection products and specific types of
22 contact lens types has been reported,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available evidence is very preliminary.

2 Furthermore, it has not yet been
3 demonstrated what the long-term consequences
4 are, if any, for ocular surface health, or the
5 risk of microbial keratitis. But because this
6 exists, I think additional information should
7 be gathered regarding biocompatibility of
8 solutions and lenses. This is particularly
9 important as new materials and lenses are
10 developed and introduced.

11 The last two years have seen the
12 recall of two contact lens disinfection
13 systems, the uses of which were associated
14 with a significantly higher risk of
15 contracting two different rare, but
16 potentially devastating, corneal infections
17 with *Fusarium* and *Acanthamoeba*.

18 Although the root causes are still
19 not fully understood, each has potentially
20 taught us different lessons concerning the
21 role of contact lens infection in protecting
22 lens wearers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The international outbreak of
2 Fusarium corneal infections in 2005 and 2006
3 were strongly associated with the use of a
4 single solution, ReNu with MositureLoc. The
5 evidence for this was strong. There was broad
6 agreement between studies in Singapore, Hong
7 Kong, and with the CDC demonstrating a strong
8 association here in the United States.

9 Relatively rapidly, a steep
10 decrease in the number of cases of contact
11 lens-related Fusarium keratitis followed the
12 recall of this particular solution from the
13 market, reinforcing the strong association of
14 the solution with this particular outbreak.

15 This is pertinent because it is my
16 understanding that the solution performed well
17 in current and required preclinical testing,
18 and particularly well against Fusarium in
19 comparison to solution systems that were not
20 implicated in this particular outbreak.

21 The work of Dr. Ahearn, among
22 others, Dr. Saltene, suggests that, while the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 solution remains highly effective in optimal
2 use, that non-disinfectant additives, combined
3 with not uncommonly practiced consumer
4 noncompliance could result in promotion of
5 *Fusarium* growth.

6 Because of this, we make the
7 following recommendations: that preclinical
8 testing should include more rigorous,
9 standardized, real-world scenarios that more
10 accurately replicate the conditions and
11 environment that contact lens products will be
12 exposed to when used by consumers.

13 These include effectiveness while
14 in the contact lens case, effectiveness when
15 exposure time is less than recommended to the
16 solution, and also effectiveness when the
17 solution evaporates in the case.

18 Further, given the complexity of
19 the interaction of contact lens disinfectants,
20 additives, and the environment with which --
21 within which they are expected to work, each
22 change in product formulation, however minor,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 should be subject to similarly rigorous
2 regimens of testing.

3 Acanthamoeba keratitis is strongly
4 associated with contact lens wear, with
5 greater than 90 percent of cases occurring in
6 contact lens wearers. An analysis of a
7 nationwide survey conducted by the CDC and the
8 Ocular Microbiology and Immunology Group
9 during an outbreak in the 1980s suggested an
10 outbreak incidence of approximately two cases
11 per million contact lens wearers per year in
12 the U.S., thought related at that time
13 primarily to the widespread use of non-sterile
14 water in the care of contact lenses.

15 While the ongoing Acanthamoeba
16 outbreak was similarly associated primarily
17 with a single contact lens disinfection system
18 in two independent studies at the University
19 of Illinois Eye and Ear Infirmary and the CDC,
20 respectively, with highly complimentary
21 results, there are significant differences
22 between the two outbreaks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Despite the positive association
2 with AMO Complete MoisturePlus in both
3 cohorts, only about 50 percent of cases in
4 both studies used this product. One should
5 not lose sight of the fact that, with simple
6 exclusion of those cases among AMO Complete
7 MoisturePlus users, that the remaining 50
8 percent not using the implicated solution
9 would still be considered an increase over
10 previous estimates.

11 In the Chicago Metropolitan Area,
12 for example, the number of non-AMO cases alone
13 would suggest at least a five times greater
14 rate than was calculated during this outbreak
15 of the mid-1980s.

16 This indicates that other factors
17 may be involved other than changes in the
18 detection of acanthamoeba keratitis, or recent
19 contact lens hygiene practices. Further, data
20 presented by our group at the March ASCRS 2008
21 meeting, and an informal canvas, at that time,
22 of other academic centers, indicated that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recall of AMO Complete MoisturePlus has not
2 resulted in an appreciable decline in the
3 number of cases of acanthamoeba keratitis seen
4 at those institutions.

5 Examined in context, this suggests
6 that the underlying factors for the
7 persistence of acanthamoeba keratitis remains
8 unknown, but most previous outbreaks of this
9 magnitude have been related to water involved
10 in lens hygiene, and not individual exposure,
11 as Dr. Mathers and others has previously
12 demonstrated.

13 For this reason, we feel that
14 acanthamoeba may represent an ongoing
15 challenge for contact lens disinfection
16 systems and contact lens wearers. Because of
17 this, we make the following recommendations:

18 That besides testing for
19 acanthamoeba, the testing requirements should
20 be updated to ensure products are effective
21 against a more diverse and representative set
22 of infectious organisms. At the same time,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tests currently required should be continued,
2 because of their historic use, and for
3 comparison purposes for efficacy.

4 Further, we understand that
5 preclinical testing of efficacy against
6 organisms is complex and challenging. The
7 testing protocol should be standardized, and
8 validated by the FDA to ensure all products
9 are meeting current, and hopefully, future
10 microbial challenges. This should include a
11 spectrum of clinical ocular isolates selected
12 for their virulence, and maintained in such a
13 way as to maintain a wild type capacity for
14 disease.

15 While we understand that expanded
16 and strengthened testing of contact lens
17 solutions does not guarantee that the next
18 outbreak of eye infections will be able to be
19 prevented or predicted, however, it will
20 increase the overall safety for contact lens
21 wearers, especially against those organisms
22 most commonly responsible for contact lens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 related infections.

2 Further, some mechanism for
3 monitoring contact lens infections, and the
4 frequency in distribution of the organisms
5 causing those infections could prove
6 beneficial in both validating preclinical
7 testing regimens, as well as protecting the
8 public from eye infections.

9 Thank you for your attention.

10 DR. BRESSLER: Thank you, Dr. Tu.
11 Next, Thomas Henteleff will be the speaker.

12 MR. HENTELEFF: Thank you, and good
13 morning. This will be very short, and I hope
14 sweet and noncontroversial. I'm counsel to
15 the Contact Lens Institute, which is an
16 association of research-oriented manufacturers
17 of contact lenses and lens care products.

18 The Contact Lens Institute will be
19 making a presentation, and that will be
20 delivered by Dr. Glenn Davies, who's sitting
21 behind me, who is with Bausch & Lomb, and is
22 also Chairperson of the Regulatory Affairs

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee of the Contact Lens Institute.

2 I requested the opportunity to make
3 a presentation solely for purposes of being
4 available to respond to questions at a later
5 date if deemed necessary or useful either by
6 Glenn Davies or the Panel. So with that said,
7 I actually can save a lot of time, and turn
8 the podium over to Dr. Glenn Davies. Thank
9 you.

10 DR. BRESSLER: Thank you very much.

11 So the next speaker will be Dr. Glenn Davies.

12 DR. DAVIES: Good morning. I'm
13 Glenn Davies. For the purposes of financial
14 disclosure, I'm an employee of Bausch & Lomb.

15 Today, I'm here to represent The Contact Lens
16 Institute. The Contact Lens Institute is an
17 association of research-oriented manufacturers
18 of contact lenses, and lens care products.

19 Its membership consists of Alcon
20 Laboratories, AMO, Bausch & Lomb, CIBA Vision,
21 CooperVision, Johnson & Johnson Vision Care.

22 CLI supports enhancements to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 testing requirements for lens care products
2 that provide increased assurance of the safe
3 and effective use of these products. CLI has
4 a long history of working cooperatively with
5 the Agency in the development of guidance and
6 other test methods.

7 Lens care products are classified
8 as Class II Medical Devices, and have an
9 associated Class II special controls guidance
10 that identifies the testing requirements for
11 these products. The Agency recently made
12 available an informal paper to discuss their
13 current thinking for enhanced testing
14 requirements in labeling.

15 Some of these concepts will likely
16 be discussed this morning by FDA. CLI agrees
17 with many of the ideas presented in the
18 concept paper. CLI opposes the collection of
19 data in the absence of sound scientific
20 methods and appropriate acceptance criteria.

21 These recommendations represent a
22 significant change to the existing Class II

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 special controls for these products, and
2 warrant public participation and scrutiny as
3 described in the FDA Good Guidance Practice
4 Policy.

5 We look forward to working
6 cooperatively with FDA in this process. In
7 addition to my comments provided this morning,
8 CLI will carefully consider the comments and
9 recommendations expressed during today's
10 proceedings, and where necessary and
11 appropriate, file written comments.

12 This morning, I'll review CLI's
13 ongoing efforts regarding lens care products,
14 our thoughts on acanthamoeba testing, grouping
15 of silicone hydrogel lens materials, labeling
16 for lens care products, and new initiatives
17 imposed market surveillance.

18 CLI has developed, with the
19 participation of FDA, a testing protocol that
20 assesses the disinfecting efficacy of multi-
21 purpose solutions as a system. This method
22 evaluates the efficacy in the presence of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 lens and lens case, parameters that are not
2 addressed in the current FDA special controls
3 applicable to the lens care products. This
4 method has been introduced as a work item at
5 ANSI, the American National Standards
6 Institute, and ISO, the International
7 Standards Organization, and is being evaluated
8 in a ring test with five separate
9 laboratories.

10 Once the method has been validated,
11 and its feasibility confirmed, the method will
12 be advanced in the formal ISO standard
13 development process, and acceptance criteria
14 established.

15 CLI is also working with ANSI and
16 ISO to develop a new series of standards, and
17 improvements to existing standards that
18 address disinfecting efficacy, preparation of
19 test samples for cytotoxicity evaluation, the
20 kinetics of preservative uptake and release by
21 lenses, and the standard for lens storage
22 cases.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The acceptance criteria of all the
2 preclinical and clinical evaluations for these
3 products must be evaluated as a whole. There
4 is a delicate balance between efficacy and
5 toxicity, with an over-arching concern for
6 compliance and reliability.

7 CLI agrees that acanthamoeba
8 testing is an appropriate addition to the
9 safety and efficacy evaluation for these
10 products. As we all know, to require testing
11 without standardized validated methods would
12 provide marginally useful information. We
13 believe that developing a test method for
14 acanthamoeba should be given the highest
15 priority, and we will pursue that belief with
16 both ANSI and ISO working groups in which we
17 participate.

18 The standard needs to clearly
19 delineate the test procedure, test organisms
20 and their forms, and the evaluation criteria.

21 These are substantial unresolved technical
22 issues.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The minimization of risk through
2 enhancements and labeling is also important,
3 and achievable in a shorter time frame. CLI
4 members have already eliminated water from all
5 care regimens for soft contact lens, and the
6 labeling for contact lenses remind
7 practitioners to advise their patients about
8 water-related activities.

9 Although similar in many ways, CLI
10 agrees that lens care compatibility of
11 silicone hydrogel lens varies, and may differ
12 from conventional hydrogel lenses. Therefore,
13 testing of silicone hydrogel lenses with lens
14 care products is warranted. We realize the
15 need for a scientifically sound grouping
16 system to simplify testing. But no single
17 proposal to date provides all the answers for
18 this rapidly evolving technology.

19 Any proposal for testing silicone
20 hydrogel lenses should consider both existing
21 materials, and be able to accommodate
22 materials as they evolve. Although we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 still in the information gathering period, in
2 the interim, we believe there are four groups
3 of silicone hydrogel lenses based on current
4 manufacturing technologies.

5 Here are the proposed interim
6 groupings. Again, the groupings are based on
7 manufacturing technology, which just happens
8 to align with the manufacturers at this time.

9 The grouping system goes beyond FDA's
10 proposal by adding a fourth group reflecting
11 the different properties of these materials.

12 CLI is developing a concise, user-
13 friendly caution statement to clearly
14 communicate the importance of patient
15 compliance. CLI recommends that the statement
16 appear prominently on the outer packaging of
17 these products, and be standardized to
18 minimize consumer confusion.

19 An expanded caution statement
20 reiterating the importance of total patient
21 compliance will be recommended for the package
22 insert. Both statements will be presented to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA for their consideration and industry-wide
2 implementation. Implementing class labeling
3 for use of these products could mandate steps
4 that are not necessary for their safe and
5 effective use and, indeed, could be
6 counterproductive.

7 Contact lenses and lens care
8 products are subject to ongoing innovation,
9 which should not be impeded by class labeling
10 limitations. CLI members have either de-
11 emphasized the no-rub directions on their
12 packaging, or moved exclusively to a rub and
13 rinse regimen.

14 All products currently labeled with
15 directions for a no-rub regimen also provide
16 directions for use as a rub regimen. As part
17 of the 510k clearance process, manufacturers
18 of rub and no-rub products submitted valid
19 scientific evidence in support of the safe and
20 effectiveness of the recommended regimen.

21 CLI supports FDA's efforts to
22 develop Sightnet, which is part of the FDA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Medical Product Safety Network Initiative,
2 MedSun. In an effort to identify and
3 understand and correct problems with medical
4 devices earlier, MedSun has recently recruited
5 health care facilities to report adverse
6 events on-line.

7 Manufacturers of medical devices
8 are also required to report serious injuries
9 through medical device reporting, and sponsors
10 of clinical trials must report adverse events
11 under the IDE process.

12 In closing, I want to emphasize
13 CLI's willingness to participate in a
14 cooperative public process to develop
15 enhancements to the current Class II special
16 controls that govern lens care products. It
17 is CLI's position that any test requirements
18 for labeling mandates should be based on
19 evidence and sound science, and should be
20 administered uniformly.

21 Again, if warranted, CLI will be
22 submitting additional, more detailed written

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments to FDA. My CLI colleagues and I
2 thank you for your consideration.

3 DR. BRESSLER: Thank you, Dr.
4 Davies. Now, our next speaker will be Dr. Art
5 Epstein.

6 DR. EPSTEIN: Thank you, Dr.
7 Bressler, and thank you for the Panel for the
8 opportunity to address you. My name is Dr.
9 Arthur Epstein. I'm a private practitioner
10 with a practice specializing in contact lenses
11 and anterior segment complications of contact
12 lens wear for the past 30 years. I currently
13 reside in Phoenix, Arizona.

14 I am a past chair of the American
15 Optometric Association, Contact Lens and
16 Cornea Section, and served as the spokesperson
17 for the AOA during the Fusarium outbreak. I
18 am the Chief Medical Editor of Optometric
19 Physician, the Executive Editor of Review of
20 Cornea and Contact Lenses. I do an extensive
21 amount of consulting, and have consulted at
22 one time or another for most of the industry.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I receive honoraria for speaking and research
2 funding. I am currently a consultant for
3 Alcon Laboratories.

4 And I again thank you for your --
5 allowing me to present this perspective. And
6 I think you will find it to be, hopefully, a
7 unique perspective, although I will, without
8 doubt, second some of the very excellent
9 recommendations that have already been made.

10 I think one of the most important
11 things that we have to do is not lose sight of
12 the realities of what we deal with. We are
13 here to make patients safer, and I think
14 that's something that we all share in common.

15 Contact lenses are medical devices.
16 We sometimes see them as refractive devices,
17 and sometimes underestimate the fact that,
18 like all medical devices, contact lenses bear
19 some element of risk, which most always is
20 justified by the benefit that it brings to our
21 patients.

22 I'm not going to rehash the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701