

1 can evaluate that variable given over a
2 million subjects, anything will achieve
3 statistical significance. And as Dr. Goodman
4 said, you may do that and have absolutely no
5 clinical advantage in identifying a difference
6 between individuals in the study.

7 So I would simply put that out as a
8 plea that we use the terminology rigorously.

9 Thanks.

10 CHAIR KIRKPATRICK: Thank you.

11 I'd like to now revisit Dr.
12 Pfeffer. Do you have any additional question
13 or comment?

14 DR. PFEFFER: Yes, just a comment
15 on Dr. Goodman's encouragement about the
16 Buechel-Pappas and the range of motion issues.

17 I think it is very important. It doesn't
18 seem fair to eliminate the range of motion
19 from a parameter and, therefore, bias against
20 this study.

21 But if you do do that, and, as Dr.
22 Goodman suggested, you do emphasize that, I'd

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very much like to hear a commentary from you
2 all about the subtalar range of motion issues
3 which you know were important in this study
4 and somehow, at the best, overlooked.

5 On pages 92, 58, and 78 of this
6 book, there are comments about converting the
7 total ankle to an arthrodesis. The question I
8 have to all of you is is it really as simple
9 as it sounds? I worry that these comments
10 minimize that.

11 In other words, reading on page 92,
12 beyond the clear benefits provided by the STAR
13 ankle, there is little clinical down side to
14 surgical treatment. This means that the
15 present standard of care of arthrodesis is
16 always an option for STAR ankle patients. So
17 it is like well, if the STAR ankle fails, just
18 go ahead and fuse it. And nothing is lost.

19 There is no good data on this other
20 than what you own. But I think it is not so
21 simple perhaps as just taking out the ankle.
22 You've got a small amount of bone but there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may be great loss in taking out this tibial
2 component, for example, which has now grown
3 in.

4 So is it really true that you just
5 simply convert these? And subtalar motion is
6 not affected? Because my concern is if you
7 operate on someone with normal subtalar motion
8 and put a total ankle in place, and the ankle
9 fails and you take it out, and you have to
10 fuse with a femoral allograft, and you lose
11 subtalar motion because of prolonged
12 immobilization and bone loss, then you end up
13 with a person who is worse.

14 So it is a small point but it is
15 sort of glibly treated in this text. So that
16 is one question that you could help us with
17 now or later.

18 CHAIR KIRKPATRICK: If you have a
19 brief answer to that, you are welcome to
20 comment. I would recognize that he indicated
21 it is a small point.

22 DR. COUGHLIN: Then I'll give a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 small answer. I think that the point is well
2 taken. And the literature shows that
3 revisions after ankle arthroplasty has high
4 rates of success. Kitaoka showed a 78 percent
5 fusion rate after his series. Anderson, in
6 Europe, published in 1998, showed a success
7 rate of 17 of 21.

8 Now I'm not saying it is easy to
9 fuse after an Agility ankle where there is a
10 large component of bone that is removed. But
11 that, indeed, is one of the strong points of
12 this arthroplasty that we are removing a
13 relatively small amount of bone compared to
14 other arthroplasties which gives us an option
15 that we can have a successful arthrodesis, as
16 we did in several cases, and we can protect
17 subtalar which, I agree with you, is of
18 paramount importance.

19 DR. PFEFFER: Good. Thank you.

20 Just a few other brief questions,
21 very brief. And it really has to do more with
22 your future plans here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One, if you could specifically tell
2 us in your post-approval study what the role
3 of the x-ray will be, Dr. Saltzman, in all his
4 pivotal studies on the Agility ankle, taught
5 the orthopedic community the essential role of
6 radiographs, not just for evaluating the ankle
7 lucency but also for range of motion.

8 I read somewhere that the x-rays in
9 the post-study would be done when clinically
10 appropriate. I'd like a comment on that at
11 some point please. Will it be done on every
12 single patient? And will dorsiflexion/
13 plantar flexion x-rays be done?

14 Should I ask the other brief
15 questions I have?

16 CHAIR KIRKPATRICK: If they have a
17 quick answer to that, they are welcome to. If
18 you would rather wait until after lunch, just
19 signify that to us. After lunch? Okay.

20 DR. PFEFFER: Yes. Also in regard
21 to future plans, you have added a
22 contraindication of adult onset diabetes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mellitus with no particular mention of why.
2 I'd like to know why that is.

3 Also in your contraindications,
4 since we know from the data, at least as I
5 read it, that the continued access patients
6 did better than the pivotal patients. And
7 perhaps the deformity that the 12 percent
8 versus 48 percent has something to do with it,
9 will you modify your current indications for
10 this ankle for someone with less than 35
11 degrees of deformity?

12 I think all of our concerns --
13 everyone, on both sides of this table, is that
14 this ankle will be given to society and used
15 inappropriately. So what guidelines do you
16 plan regarding deformity?

17 Another just quick comment but the
18 statistician can judge this more, back to the
19 osteoporosis, small point but you eliminated
20 some patients arbitrarily because of weight.
21 You said two patients were almost 250, even
22 though they were 260, so you said let's throw

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them in. You said one patient was 283
2 degrees. And that was just too fat so we're
3 going to keep them out.

4 You eliminated the osteoporosis
5 patient mysteriously. I would suggest
6 statistically that perhaps all of those
7 patients should be put back into the study if
8 it effects the data. And, again, that is
9 certainly not my area of expertise.

10 CHAIR KIRKPATRICK: Excuse me,
11 before you move on to the next question --

12 DR. PFEFFER: Yes, sir.

13 CHAIR KIRKPATRICK: -- I think Dr.
14 Mann looked like he was ready to answer the
15 deformity question. Or would you prefer to
16 wait until after lunch?

17 DR. MANN: Well, as we pointed out
18 earlier, the surgeons involved had a learning
19 curve as well. And the degree of deformity
20 was one of the things we learned about.

21 We analyzed their initial cases
22 very carefully and we became less bold as we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 became smarter. So I would say that the
2 degree of deformity that we look at now, much
3 more than ten degrees of varus and valgus
4 probably is not -- would probably be a
5 contraindication or a relative
6 contraindication to the procedure.

7 But the main thing we need to look
8 for is a plantargrade foot. Without a
9 plantargrade foot, all bets are off as far as
10 trying to put in a total ankle replacement.
11 And that is another thing we need to consider.

12 As far as the diabetes is
13 concerned, this opens up sort of a whole can
14 of worms because the problem that you get into
15 is these people sometimes will go out and
16 develop a neuropathy.

17 And with a neuropathy come Charcot
18 changes in the joints. And what is going to
19 happen with your ankle replacement as the bone
20 possibly weakens and collapses with the
21 components in place? So that is one of the
22 reasons we don't like to do that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PFEFFER: Good. This is really
2 in with Dr. Skinner's area of expertise more
3 but very specifically, from the best of
4 limited understanding on this, you did a
5 finite element analysis on the failure of the
6 polyethylene component which was reasonable
7 because certainly the 163 pound stress over
8 ten million cycles represents normal walking
9 at four times body weight.

10 Everyone would probably agree that
11 up to eight times body weight, if not more, on
12 heel strike that is forcible that can be
13 transmitted across the polyethylene component.

14 Is there a role for a test to failure? Or a
15 static load test to failure that might have to
16 be performed on these patients by a
17 mechanically --

18 DR. SALTZMAN: I'm going to try to
19 be fairly brief here. We had four fractures
20 in about 600-something patients. The average
21 weight was 89 kilograms. Two of them were in
22 trauma, major trauma. One was put in a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient with 35 degrees deformity. All of
2 them had deformity and some ligamentous
3 instability.

4 It is very hard to build a testing
5 device, I think, to cover that. And Dr.
6 Skinner is right. We didn't test varus and
7 valgus. It is hard to test varus and valgus.

8 I tested in our lab and we published in the
9 British Journal varus and valgus and what
10 happens with the component.

11 And what we found was some
12 ligamentous strain and some reduction in
13 motion, depending on whether it is varus,
14 valgus, up, or down. And changing of the
15 height of the mobile bearing.

16 But we haven't developed, that we
17 know of, a very good testing device that would
18 put the mechanical input into it. So it is up
19 to be considered. It is not out of the realm
20 of consideration. But it doesn't exist right
21 now.

22 And I think that the incidence of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 these problems is extremely small. Most of
2 them were replaced or the bearing was
3 replaced. And the patients did okay. And so
4 it may not be as big a deal as it seems, I
5 guess.

6 DR. PFEFFER: So test to failure
7 using a static blow, I think that is the term,
8 is a difficult thing here --

9 DR. SALTZMAN: Yes.

10 DR. PFEFFER: -- because if you
11 look at the literature, not pertinent exactly
12 to the STAR but if you look at mobile bearing
13 ankles, such as a Buechel-Pappas, et cetera,
14 and the Scandinavian literature from Europe,
15 there is a four or five percent fracture rate
16 of the PE component. So it is small but it's
17 not -- I can give you --

18 DR. SALTZMAN: We think it is about
19 one percent in our analysis.

20 DR. PFEFFER: In the STAR? From
21 your group?

22 DR. SALTZMAN: From the Europe. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can get the reference for you. It's here.

2 DR. PFEFFER: No, that's okay. All
3 right. I think that answers the question.

4 CHAIR KIRKPATRICK: Dr. Skinner can
5 also address part of that.

6 DR. SKINNER: I wanted to say that
7 the failure in a static loading situation
8 wouldn't be the prosthesis. It would be very
9 unlikely. It would more likely to be foam
10 interface.

11 DR. PFEFFER: Okay.

12 DR. SKINNER: So I don't think
13 there is a place for a static load test here.

14 DR. PFEFFER: Okay.

15 DR. SKINNER: And I think that the
16 fractures would be unlikely to occur in trauma
17 -- fractures of the polyethylene anyway. It
18 would be more likely to occur to fatigue
19 mechanisms.

20 DR. PFEFFER: Good. Well, thank
21 you very much. Thanks.

22 CHAIR KIRKPATRICK: Dr. Pfeffer,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 any --

2 DR. PFEFFER: No, thank you very
3 much.

4 CHAIR KIRKPATRICK: Thank you.

5 Dr. Propert, any additional
6 questions or comments?

7 DR. PROPERT: I want to turn one of
8 my previous comments into a question to follow
9 up on something Drs. Mayor and Goodman said.
10 First of all, I have had a couple of
11 statistics courses but I grew up in the South.

12 MEMBER GOODMAN: Could you
13 elaborate on those?

14 DR. PROPERT: So I don't know if I
15 have any credibility here at all. But even as
16 the statistician on the Panel, I don't want us
17 to dismiss this aspect of clinical
18 significance. By just quickly looking through
19 the data here in the last few minutes, if I
20 read it correctly, I think there was an
21 observed 12 point difference in the overall BP
22 score, three point difference if you take out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the range of motion.

2 And then on the safety issue,
3 people were looking for a 15 percent non-
4 inferiority margin for safety. So it would
5 really help me if -- and this could be either
6 for the sponsor or the FDA -- if people could
7 talk a bit after lunch about why those are
8 clinically significant differences.

9 And also whether 15 percent non-
10 inferiority for safety is acceptable in this
11 setting.

12 CHAIR KIRKPATRICK: Thank you.

13 Dr. Skinner, do you have additional
14 comments or questions for the FDA or the
15 sponsor?

16 DR. SKINNER: Yes, I'd like to ask
17 one question. And it has to do with clinical
18 issues. I want to make sure everybody knows
19 that I am a clinician.

20 I was reading the article that was
21 provided to the Panel by Anderson where he
22 started doing total ankles in '93. And I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guess the question is are the surgeons in the
2 U.S. inferior to the ones in Sweden? He was
3 Sweden and was doing these total ankles, the
4 STAR ankle, back then through an anterior
5 approach.

6 And either the surgeons in the U.S.
7 didn't learn from him the complications that
8 occur or he didn't have the complications. Is
9 it a question that the surgeons in the U.S.
10 are just not as good?

11 MEMBER GOODMAN: Maybe at UCI.

12 (Laughter.)

13 DR. COUGHLIN: Dr. Skinner, we
14 can't let that one go but I think Anderson's
15 article deserves a much longer answer. And we
16 have the analysis of that.

17 I'll say one thing. When we came
18 here seven years ago, the point we got was
19 that the European literature maybe wasn't
20 trustworthy and that we needed to do our own
21 study in America.

22 And if there is one thing this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fellow from Idaho learned was that you were
2 right. That we really needed to get the data
3 to find out what was true and what wasn't
4 true.

5 When we come back after lunch, we'd
6 love to talk about Dr. Anderson's article.

7 DR. SKINNER: Thank you.

8 CHAIR KIRKPATRICK: To clarify for
9 the transcriptionist, that was Dr. Coughlin.

10 I think it would be great to hear
11 that. Please don't plan on using all of the
12 time after lunch to discuss that. But perhaps
13 some bullet points would be very helpful.

14 Any additional questions, Dr.
15 Skinner?

16 DR. SKINNER: No.

17 CHAIR KIRKPATRICK: I have one
18 point of clarification I just want to make. I
19 understood your answer to the deformity
20 question as saying that you did no prospective
21 analysis of the deformity. But in retrospect
22 you had analyzed the failures and found many

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of those had a deformity. Is that a correct
2 understanding of what you presented?

3 It seems to me it would be a yes or
4 no question by the people that did the study,
5 not one that takes deliberation.

6 DR. SALTZMAN: It does seem that
7 way. We did not do a careful retrospective
8 study of all of our patients' preoperative
9 deformity. We looked at the failures and
10 especially the fractures, poly fractures, and
11 looked at what they looked like.

12 CHAIR KIRKPATRICK: Thank you.

13 I would like to make a comment that
14 is sort of an observation. And this may be
15 more for future colleagues that want to come
16 before the Panel.

17 But we have a litany of outstanding
18 academic orthopedists in front of us working
19 on behalf or in conjunction with the sponsor.

20 And at the same time, we hear from our
21 journals and from our meetings that we need to
22 make sure that we establish our hypotheses at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the beginning of the study, stick with the
2 plan, and make clear that if we do a post hoc
3 analysis we don't mix the post hoc analysis
4 with the presentation of the prospective
5 study.

6 And in this study, it seems that we
7 have made multiple variations from that. And
8 so I would just encourage all of us to be
9 making sure we stick to the tenants of
10 evidence-based medicine when we come before
11 the FDA as well as when we go before our
12 journals.

13 And with that, I would like to see
14 if there is any further comment from the Panel
15 before we break for lunch.

16 Dr. Mayor?

17 DR. MAYOR: I have just one small
18 but very specific clarification that either
19 Dr. Popovic or the submitters might be able to
20 clarify.

21 There was a slide which listed the
22 surgical interventions in the pivotal study in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients with surgical interventions of a
2 number of different kinds, including mobile
3 bearing removed and mobile bearing replaced.

4 And the question that I have is are
5 those a summary of each other or are they
6 inclusive of each other? And if either is
7 true, exactly how do they sort themselves out?

8 Do we add the numbers together? Do we merge
9 the numbers together? Or do we separate them
10 in some other imaginative way?

11 DR. POPOVIC: Actually the data
12 originally presented included all the removals
13 and replacements. Later on, the data was
14 analyzed at the specific time point, 24
15 months, which means some of the replacements
16 were not included because they occurred after
17 the 24 months.

18 And that is why there was a
19 difference in the slides. As a matter of
20 fact, these changes came very, very late. As
21 of last month. And, you know, we looked at
22 the original data and presented the total

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 numbers. However, if you look at the cut off
2 points, if you truncate the data, then
3 obviously the numbers will be slightly
4 different.

5 So 17 was the total. However, at
6 24 months, there were less than 17. And
7 that's where the differences are.

8 DR. MAYOR: Thank you.

9 CHAIR KIRKPATRICK: Thank you.

10 Mr. Melkerson and Mr. Jean, is
11 there any other Committee business that we
12 need to handle before taking a break?

13 (No response.)

14 CHAIR KIRKPATRICK: Thank you very
15 much. I know the sponsors will be very busy
16 in preparing answers.

17 We would like to take a break for
18 lunch. We will reconvene again in this room
19 at 12:45.

20 Please be aware that if you have
21 any personal belongings, please take them with
22 you as the FDA staff -- excuse me, if the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 public has any personal belongings, please
2 take them with you because the FDA staff will
3 be locked down in this room to make sure that
4 it is secure.

5 And you will be allowed back in the
6 room approximately five minutes before we
7 reconvene.

8 Panel members, please remember that
9 there should be no discussion of the PMA
10 during lunch. And that goes with any member
11 of the audience as well.

12 Thank you.

13 (Whereupon, the foregoing matter
14 went off the record at 12:01 p.m. to be
15 reconvened in the afternoon.)

16

17

18

19

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm Mike Coughlin. And I want to
2 start off with where I left off with Dr.
3 Skinner's comment about the European study by
4 Anderson. When I pulled that article -- and I
5 first want to preface these remarks by saying
6 that yes, indeed, this was a U.S. study.

7 The literature outside the world in
8 other areas is questionable and we can glean
9 some things from it but there are a lot of
10 questions. This was the largest study that
11 has ever been done. A prospective fusion
12 study, arthrodesis study has never been done.

13 A prospective ankle study of this size has
14 never been done.

15 Now it was offered by the FDA that
16 we just have pure meta-analysis but we felt
17 that we should have a control with an
18 arthrodesis group so that we would have some
19 comparability with such things as Buechel-
20 Pappas scores which, we thought, we could then
21 examine function and pain issues.

22 Now this wasn't a perfect study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And we grant you that. The control group was
2 quite difficult. But it was a control group.

3 And none of the other published studies have
4 ever had an arthrodesis control group. It is
5 tough to keep these patients in, as we have
6 certainly mentioned to you.

7 Now in regard to Anderson's study,
8 it is fascinating when we look at this and we
9 look at the European history on this and then
10 -- and I want to compare it to the American
11 study that we have done here -- Anderson did
12 51 cases. And of those, 12 failed. Five went
13 on to fusion and seven went on to exchanges.

14 In this article, there is no note
15 of any inclusions or exclusions for criteria.

16 And I think that damns this study to begin
17 with. It really condemns it because that is
18 the strong point of our study, I think. We
19 really laid it out for inclusions and
20 exclusions.

21 Instrumentation, he used some
22 instruments that were only available to him.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 They weren't company instruments that had been
2 supplied to anyone else.

3 We don't know the trial sizes. He
4 then came to the conclusion that he had a
5 couple of poly breakages and took the big leap
6 that you should not put six millimeter poly in
7 but, in fact, he never said what size poly
8 broke in this or his subsequent article.

9 So I used that as a jumping off
10 point to talk about our study and the things
11 we did right. We can find things that we can
12 be criticized for -- the size of our control
13 group, the follow ups, and so forth. And we
14 admit that.

15 Now when we look at the two groups,
16 and this was a question -- another question
17 that was asked as far as how we picked the
18 people who were involved. Now these were all
19 top notch U.S. surgeons. And I think they are
20 quite comparable.

21 It is hard to give a test on
22 surgical ability or indications and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 contraindications and to really read someone.

2 But by reputation and by our personal
3 knowledge, these were all good people. And
4 they could have been either group.

5 The thing that really limited them
6 as to which they went was their comfort with
7 either doing a total ankle or doing an
8 arthrodesis. And there were some very fine
9 surgeons who would not take that leap and say
10 I'm ready to put a total ankle in, remember
11 the debacle of the 1970s. On the other hand,
12 there were some people who were ready to make
13 that move.

14 And I want also to recall again,
15 for our American study, that the Waldemar Link
16 Company had a choice here. They could have
17 done a three-part study without a control in
18 Europe. They could have just introduced the
19 two-part ankle with the 510(k). But they took
20 the road less traveled. They tried to do a
21 much harder thing with our help.

22 I wanted to mention to Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pfeffer's question about the operative
2 characteristics because we chose these
3 surgeons not based upon if we thought we could
4 put a stopwatch to them and measure how
5 speedily they could operate. Their operative
6 time didn't make much difference to us. We
7 wanted results.

8 And I don't think that you can just
9 jump from their operative time and say that
10 this was a much more difficult or severe
11 deformity. That is really not clear in the
12 data that we have. It is an interesting
13 question but it is certainly not clear to us.

14 And if you want to take that way of
15 thinking, the results they got were superb.
16 They have the best results, way better than
17 any arthrodesis study that has ever been
18 published in the literature.

19 Now we could have put some ringers
20 in and got some mediocre surgeons. But that
21 wasn't our plan. We had five people who were
22 fine people who achieved excellent results.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now having said that, again I want
2 to reemphasize that the FDA offered us just a
3 meta-analysis alternative but, indeed, we said
4 let's do a control but we'll also do a
5 concurrent meta-analysis evaluation.

6 There have been some questions
7 regarding that as to did we cherry pick
8 articles or how did we really come to the
9 choosing of these specific articles because I
10 think that is a very vital question. And I'd
11 like Dr. Tom Clanton to speak to the meta-
12 analysis process.

13 DR. CLANTON: My name is Tom
14 Clanton. And since I've not been up before,
15 I'll give disclosure. I'm a consultant for
16 Link and paid for that and travel expenses. I
17 have no stock options or equity interest in
18 the company or other conflicts of interest.

19 In addressing the question of
20 selection bias for the meta-analysis, that was
21 raised previously due to the large number of
22 articles that were excluded. So let me try to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 explain this.

2 The original review of ankle
3 arthritis literature went back to 1945 and
4 included 73 articles. These were reviewed for
5 complications in a total population of 2,090
6 ankle arthrodeses. In that group of articles,
7 the non-union rate was 9.7 percent, ranging
8 from zero to 35 percent. Malunion rate was
9 11.2 percent. Infection rate was 14.5
10 percent.

11 Summarizing the overall
12 complication rate was 49.4 percent. That was
13 the original 73 papers.

14 In order to define a population of
15 cases that more clearly portrayed modern
16 technique, a subset of 42 more current
17 articles was evaluated. These papers were
18 published from 1979 on.

19 And they included modern anesthetic
20 agents, surgical technique such as compression
21 screws and plates, and improved devices for
22 external fixation. Also during that period,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we see the introduction of arthroscopic ankle
2 arthrodesis and small wire fixators.

3 These 42 papers were quite diverse
4 in terms of the patients included, sample
5 size, surgical technique used, and outcome
6 measurements. Therefore, for the meta-
7 analysis group, the 42 papers were carefully
8 reviewed and papers were excluded if they
9 looked at a population of patients or a method
10 of surgery that might be expected to have a
11 worse outcome.

12 We purposefully biased the final 12
13 papers in favor of the arthrodesis group by
14 excluding articles that included patients
15 fixed by external fixators because we know
16 that they have a higher infection rate from
17 pin tract infections. We also excluded papers
18 such as Popa and Meyerson's article on
19 diabetics with neuropathy since that clearly
20 would have had a higher complication rate.

21 So in looking at the 12 papers,
22 they come from centers around the world,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 including University Hospital in Nottingham,
2 England, Nara University Hospital in Japan,
3 Hospital for Special Surgery, UCLA. They
4 include private practices in Seattle,
5 Washington and Oakland, California.

6 They are a diverse population of
7 patients from around the world. And they are
8 primarily patients that would be included in
9 the control population of patients, including
10 diagnoses of rheumatoid arthritis, post-
11 traumatic arthritis, and osteoarthritis.

12 They were all open techniques. We
13 excluded all of the arthroscopic cases that
14 were done. And they were done with modern
15 surgical methods.

16 The complication rates for these 12
17 meta-analysis articles, in summary, was 11.6
18 percent where there was radiographic evidence
19 of nonunion, delayed union, or malunion. And
20 11.9 percent device failure, revision, or
21 removal. The overall failure rate was 17.4
22 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In my opinion, this was a very
2 unbiased method, given the overall poor
3 quality that we know is present in the
4 literature on ankle arthrodesis. We selected
5 these papers, picking ones that were felt to
6 be the best reflection of what would be the
7 control population in our study.

8 And we did such things as in one
9 case that included a salvage case for failed
10 ankle replacement, it was kept in the study in
11 order to use it as part of the denominator for
12 complications because if it was removed, it
13 would have effected the success rate
14 negatively, biasing it against the
15 arthrodesis.

16 We did that in two instances that
17 could have been biased the opposite way. So
18 I think that we did this in a very fair
19 fashion. It would have been very easy to have
20 chosen papers that would have had higher
21 failure rates, would have included more
22 patients that had worse outcomes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And so I think that it is a very
2 fair group to look at in the control
3 population. And if you look at overall
4 complication rates, it is less than any other
5 population of 12 papers that would have been
6 included.

7 CHAIR KIRKPATRICK: Thank you.

8 I would like to just alert both the
9 sponsor and the Panel members to one aspect of
10 the term meta-analysis and the application of
11 that term. We may not be using it in the
12 strictest sense of the word.

13 Many of the things I've heard sound
14 like it might be a systematic review as
15 opposed to a meta-analysis. So please, you
16 know, keep that in mind. It doesn't sound
17 like a strict meta-analysis was done but a
18 systematic review.

19 I would also like to encourage the
20 sponsor to recognize that they do have a
21 relatively limited time to summarize these
22 answers. We'd like you to focus on trying to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 answer more of them with brief, to-to-the-
2 point answers than trying to give us in-depth
3 answers of each concern that we had. Now
4 we'll give you approximately 20 more minutes.

5 Thank you.

6 DR. MANN: Thank you. Roger Mann
7 from Oakland.

8 The question was raised by Dr.
9 Pfeffer regarding the subtalar joint and its
10 analysis. We know that the subtalar joint is
11 extremely important in gait. It is part of a
12 measurement of overall dorsiflexion/plantar
13 flexion that occurs in what we call ankle
14 motion but it also does include subtalar
15 motion.

16 We also know that in patients with
17 rheumatoid arthritis the subtalar joint is
18 often effected. And as a result of that,
19 there will be decreased motion in the subtalar
20 joint.

21 One of the things we did notice in
22 the study is that by preserving ankle joint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 motion, we did not have any patients that
2 progressed their subtalar joint problem or did
3 it become symptomatic. So that is a plus for
4 the STAR prosthesis.

5 Post-traumatic patients also have
6 some stiffening of the subtalar joint.
7 Patients with primary arthrosis usually do
8 not. And I think that basically by doing an
9 ankle prosthesis, we are sparing those joints.

10 Charlie Saltzman, in his articles,
11 has shown that 20 years out, roughly a 70
12 percent incidence of arthritis of the subtalar
13 joint as the result of the added stress as a
14 result of an ankle fusion.

15 The next question was asked about
16 osteophytes. At 11 months out, there were
17 eight osteophytes in 158 patients or about a
18 five percent incidence.

19 Next, this demonstrates a very
20 large anterior osteophyte that occurred. What
21 you are looking at here is -- there is the
22 polyethylene. Here is the talar component.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And you can see the osteophyte coming along in
2 this area right here.

3 This developed and blocked
4 dorsiflexion of the ankle. This is the only
5 one I found in the anterior aspect of the
6 ankle joint.

7 Next, this is our typical picture
8 of what you would tend to see, namely some
9 osteophyte formation along the medial aspect
10 of the joint, right through here. And this
11 sometimes is symptomatic. Usually it is not
12 symptomatic.

13 Next, looking at this clinically,
14 this is what we observed when we opened the
15 joint. You see a little osteophyte here but
16 mainly osteophyte build up along the medial
17 malleolus area. We take the polyethylene out
18 in these cases in order to gain exposure to
19 this area. And then you can see using a
20 osteotome, we then will clean out this medial
21 margin here and as long as we are there, we
22 always take some bone off laterally because we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are orthopedic surgeons. We have to take bone
2 out.

3 Next, this is sort of what it looks
4 like after debridement. You can see now we've
5 opened up the gutter on both sides. As far as
6 from a clinical standpoint, these patients do
7 quite well.

8 The only risk of the operation, you
9 do have to enter the joint again through your
10 anterior incision and pull out the poly, take
11 off the bone. And these patients can walk
12 immediately. And it takes them about three to
13 four weeks to get back to their preoperative
14 state. So this is what we found as far as
15 osteophytes are concerned.

16 CHAIR KIRKPATRICK: May I just
17 clarify? Are we using the same term
18 osteophyte and heterotopic ossification?

19 DR. MANN: Yes, this basically is
20 heterotopic ossification.

21 CHAIR KIRKPATRICK: Okay, you'll
22 forgive me because when I was a resident, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were very, very different things.

2 DR. MANN: In the ankle joint, I
3 think they are the same.

4 (Laughter.)

5 CHAIR KIRKPATRICK: I'm sorry, so
6 as a follow up now, then I have to rethink
7 what is the HO incidence. You said five
8 percent that needed operation?

9 DR. MANN: It was five percent out
10 of 158.

11 CHAIR KIRKPATRICK: Okay. That
12 needed operation.

13 DR. MANN: That's correct.

14 CHAIR KIRKPATRICK: Okay. And
15 radiographically what was it?

16 DR. MANN: I don't know the answer
17 to that.

18 CHAIR KIRKPATRICK: Thank you.

19 DR. SALTZMAN: All right. I just
20 want to mention I think Dr. Mayor asked the
21 question about the 15 removal/replacements.
22 And I think among those -- we think nine of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them were replacements. And a number of them,
2 maybe roughly that nine were just like this.

3 And the recovery, for those who are
4 not clinicians, recovery from that surgery is
5 two weeks, three weeks. And then they are
6 fine. It's a little different than a real
7 revision which the recovery might be two or
8 three months.

9 We had not prepared x-rays on those
10 who had settled. And some of the radiographs
11 that have been brought up as part of the
12 perhaps change in analysis of the radiographs,
13 I wanted to talk to that. And Dr. Goodman
14 brought that up and I know a number of other
15 people brought this up.

16 And so were able to download off
17 our email this one case, which is one of the
18 cases that was -- one of the five cases that
19 were reclassified as having not been
20 radiographic failures. And I wanted to
21 describe that for you.

22 So to give you some understanding,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the original criteria was to identify -- it is
2 very important to identify loosening and
3 migration of implants. And if we identify
4 loosening and migration, we thought we should
5 go ahead and call those failures.

6 We didn't have any real criteria
7 for loosening and migration so I actually went
8 through all the x-rays and measured the x-
9 rays. And on these x-rays I would have
10 measured that there was four millimeters or
11 more settling of the talar component on the
12 talus.

13 And it would have been most likely,
14 in this case, I can't -- I'd have to go back
15 and look at the sheets but mostly likely it
16 would have been right in the front that that
17 measures four millimeters of settling of the
18 anterior part of the talar component into the
19 talus.

20 Now for whatever reasons, the four
21 millimeters was picked out as a cut-off point
22 without any prior knowledge or data to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 support it. And that became a failure. And
2 was sent to the FDA as a failure.

3 And what happened was for this
4 group, which was five patients after the
5 clinicians behind me sat down and looked
6 through some of the data -- and this is after
7 the submission -- we realized that some of
8 these patients that were considered
9 radiographic failures might not be failures
10 because they may not have progressed.

11 And so we went back and looked at
12 the records on them. There were approximately
13 11 of those patients. Three were failed for
14 other reasons. That gets us down to eight.
15 Of those eight, three we felt were continuing
16 to migrate so that got us down to five.

17 Those five had migrated in the
18 first six months -- by the six-month or the
19 12-month x-ray. And they stopped migrating.
20 So that at the 24-month x-ray, it was the same
21 as the 12 -- I'm sorry I don't have the 12 to
22 show you. And then we went ahead and looked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at their 48-month x-ray. And that is where we
2 got into this 48 month piece.

3 And then to confirm that we had
4 what we think is a clinical success, we went
5 back and looked at the BP scores, the Coughlin
6 scores, the SF-36, everything we could look at
7 to see if there is any evidence that this
8 might not have been a success. And for five
9 patients, we feel that they were successful.
10 So that's that reclassification.

11 I wanted to speak also about
12 another reclassification I don't have an x-ray
13 on but I can tell you and I think you can
14 understand this very easily. The x-rays are
15 susceptible to artifact with rotation of the
16 leg compared to the plane of the x-ray beam.
17 And because of that, sometimes the talar
18 component in particular -- you can leave that
19 up -- just leave it up because I think it is
20 helpful to see an x-ray -- sometimes what will
21 happen is the talus will look, because of its
22 shape, and it is on a convex surface, will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look like it is invaginated or falling into --
2 the component has fallen into the talus.

3 And if that happens, since these
4 were read independently and timed
5 independently, I would have marked it as being
6 greater than four millimeters migration so it
7 would be considered a failure. Now what
8 happened was for seven patients later -- say
9 at six months we thought it was a failure and
10 later, at 12 months and then at 24 months, we
11 thought it was -- I marked it as normal.

12 Well, the fact is the implant can't
13 un-migrate. It can't go back up. And so the
14 original readings were wrong. And I'm sure of
15 that because if you get a normal reading on a
16 lateral x-ray like this that is perfectly
17 positioned, it hasn't un-migrated to that
18 position.

19 So that explains the seven and the
20 five. And that's why we did this re-analysis
21 of the data that brings the delta for safety -
22 - it actually brought it up under the 15

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent. I just wanted to speak to that.

2 There was a question about why we
3 didn't look at -- I think re-review the
4 control x-rays, we probably could have done
5 that it would have made the control group or
6 the arthrodesis group probably look worse.

7 We had set three months as the
8 point at which procedure becomes a delayed
9 union. In other words, if you are not fused
10 at three months, it is was a delayed union.
11 We actually think that is a little severe.
12 And we talked about it and we think four
13 months might be reasonable.

14 At three months, 56 percent of
15 patients are wearing casts. At four months,
16 13.5 percent of patients were still in casts
17 so that would have been the delayed union
18 rate. We didn't go back. We might have found
19 a few more. We actually relied on the
20 investigator at the site to tell us whether it
21 was fused or not.

22 And so I think this speaks, I hope,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to Dr. Propert's question did you use 48-month
2 data to tell you how patients were doing at 24
3 months, we did not do that. I want to make
4 sure that is perfectly clear.

5 And I believe I tried to answer all
6 those question.

7 The wear and the explant questions,
8 we have Paul here from the ORL who will try to
9 answer some of those better than I could.

10 Thank you.

11 MR. POSTAK: Thank you. My name is
12 Paul --

13 CHAIR KIRKPATRICK: Excuse me just
14 one second. There is quick follow up question
15 with regard to what was just said.

16 MEMBER GOODMAN: Thank you for
17 those figures. Do you have any other figures
18 before 24 months on this case or in any other
19 cases where there was translation or migration
20 of any of the components?

21 DR. SALTZMAN: We do. I don't
22 think we have them on our computers here. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 can look through our emails and see if we can
2 find it. Sorry we didn't bring that.

3 CHAIR KIRKPATRICK: Thanks.

4 Go ahead.

5 MR. POSTAK: Hi, I'm Paul Postak
6 from the Orthopedic Research Laboratories in
7 Cleveland, Ohio. I have 22 years experience
8 in biomechanical device analysis and in hips,
9 knees, shoulders, elbows, wrist, spines, and,
10 of course, ankles.

11 Today I am a consultant for the
12 sponsor for which I will receive my expenses
13 and travel covered. In addition, testing done
14 in the preclinical phase at my laboratory was
15 done on a one-time fee for service basis.

16 The sponsor had no control over
17 which results were presented with very limited
18 control over what the protocol was for the
19 analysis.

20 I have no equity in any medical
21 device company. And I have no royalties
22 assigned for any medical devices.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I plan to cover two questions, the
2 first question involving some of the packaging
3 for the polyethylene components. The
4 polyethylene for these mobile bearing devices
5 was packaged in an oxygen-resistant barrier in
6 nitrogen and then sterilized at 27 kiloGrays.

7 The storage limit on these devices
8 is five years for sterilization and alleviate
9 the storage oxidation questions.

10 I know this packaging is identical
11 to all of Link's polyethylene components used
12 for hip and knee devices throughout the world.

13 And I know of no failures or any links to any
14 failures associated with this packaging nor
15 this packaging technique.

16 And in addition, it is quite a
17 standard practice of the orthopedic industry.

18 Does address your question
19 concerning --

20 DR. MAYOR: It's been proven in the
21 past that many standard practices have been
22 ill advised. And the reason I raise that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issue is that now increasing evidence is
2 accumulating the you may be able to prevent
3 on-the-shelf oxidation with barrier packaging.

4 As soon as that package is open if
5 a population of free radicals is present in
6 the polyethylene, it will start to oxidize.
7 We have been reassured, I think
8 inappropriately, that the oxidation rate may
9 be so slow as to be insignificant in the long
10 run.

11 We are actually accumulating
12 evidence as we speak that that is not an
13 adequate reassurance. And so a further
14 question that I would raise in specific regard
15 to your laboratory is what protocols would you
16 apply to a received retrieval polyethylene
17 component to identify what its oxidation
18 levels and mechanical properties might be at
19 that point?

20 CHAIR KIRKPATRICK: Just briefly
21 for the transcriptionist, that was Dr. Mayor.

22 MR. POSTAK: Certainly we would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very interested in that type of research.
2 However, the retrieval analysis for these
3 devices was again not part of the original
4 section. There were quite a few controls that
5 were not maintained for the devices as they
6 were retrieved and sent to our laboratory.

7 I've had an opportunity to schedule
8 a retrieval analysis in other devices that
9 would alleviate some of those issues and allow
10 us to analyze whether any of the packaging
11 effects could contribute to device failure.

12 DR. MAYOR: Which is certainly
13 appropriate. But I'm still less than
14 perfectly reassured. Do you have a protocol
15 that you either have in place or are going to
16 put in place so that you can make a more
17 exacting assessment of both oxidation levels
18 and/or mechanical properties for these
19 retrievals?

20 MR. POSTAK: There is no explant
21 protocol that I know of for this device.

22 DR. MAYOR: Well, I can suggest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we have one.

2 CHAIR KIRKPATRICK: I believe there
3 is also an ASTM standard to explanted
4 orthopedic implants.

5 DR. MAYOR: Yes, there is. There
6 is a retrieval analysis process that ASTM has
7 described. I'm not sure that it is as
8 rigorous as we would like to see it but that
9 is characteristic of a lot of ASTM documents.

10 CHAIR KIRKPATRICK: Well, my point
11 is that somebody dealing with orthopedic
12 implants should be aware of these issues and
13 be proactively addressing them as opposed to
14 coming to a Panel meeting and saying oops.

15 DR. MAYOR: Well, said.

16 CHAIR KIRKPATRICK: Thank you.

17 I wish to remind the sponsor that
18 you have not yet addressed BMI versus weight,
19 range of motion, the death questions, and the
20 post-approval x-ray questions and you have
21 approximately five minutes. Thanks.

22 DR. COUGHLIN: I would like to the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 death questions. There were four deaths in
2 this study. One was from a pulmonary embolism
3 one week after surgery. Three months after
4 surgery a gentleman died of a myocardial
5 infarction. At fifteen months, metastatic
6 disease claimed another patient. This was not
7 diagnosed at the time of his surgery. And a
8 fourth patient died of congestive heart
9 failure and pneumonia.

10 We believe that none of these were
11 directly related to the implant itself.

12 I would like to briefly talk about
13 the 50 percent delta that Dr. Probert
14 mentioned. And that was agreed to by the FDA
15 at the beginning of the study. I agree that
16 ten percent would have been probably more
17 common and more likely. But it would have
18 required a much larger sample size. And, as
19 you know, we had some difficulty enrolling the
20 arthrodesis group. And it would have made a
21 much longer study, probably doubling the size
22 of the arthroplasty group itself.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think also that when we talk
2 about the satisfaction scores that you
3 mentioned, you said that you saw the similar
4 level for both the arthrodesis and total ankle
5 groups. And I agree with that. That non-
6 validated score was used. I actually invented
7 it many years ago.

8 But if you only know one thing and
9 you only have a fusion, you don't know what
10 anything else is like. Likewise, if you only
11 have a total ankle, then that will be your
12 level of satisfaction. Our people were
13 equally satisfied though.

14 Now I want to just talk about the
15 clinical significance or statistical
16 differences. There is a big difference in the
17 range of motion when we are all done. About
18 seven points different in those two groups.
19 And I think that is important.

20 Pain, it was -- you know that's the
21 goal for arthrodesis. But, in fact, we were
22 the same for pain relief in both groups.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And I think that if you look at the
2 consistency of all the way across the Buechel-
3 Pappas scores, the BP function scores, that
4 really tell you the story.

5 Clinical significance, you know,
6 I'm not a statistician. I'm a clinician and
7 an orthopedic surgeon. And when I see my
8 patient, here is the difference. They can
9 walk up a slope. A fusion patient can't.

10 Can they wade in the river on
11 cobblestones and fish? No, they can't. Can
12 they ride a bicycle? It is harder if you have
13 an ankle arthrodesis. Can you climb stairs?
14 One at a time if you have an arthrodesis.

15 So these numbers, when you really
16 come down to it, the BP scores sort of tell us
17 about function. They tell us about pain and
18 other issues. But it is the function of the
19 patient that really gives us the real answer
20 in the long run.

21 DR. COUGHLIN: I can try to answer
22 a few of these questions, BMI versus height.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Can you rephrase the question, Dr. Pfeffer?

2 DR. PFEFFER: Which do you plan on
3 using in the future and which do you think is
4 more appropriate?

5 DR. COUGHLIN: I personally think
6 the weight is more appropriate. That is what
7 is going to go through the prosthesis. The
8 BMI is your weight divided by your height
9 squared and gives you sort of a sense of the
10 relationship between what is inside and what
11 is inside basically. And I don't think it
12 fits.

13 And I think you brought up a very
14 good point that the implants have to fit the
15 skeleton. If the implants are too big or too
16 small, they are not appropriate. But I think
17 weight is a better marker. That's my own
18 opinion.

19 The second question, just to move
20 down, was the subtalar range of motion
21 question. And, again, we didn't measure that.

22 It is very important to the function, as Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Mann has said. It may have had some impact.

2 We would suspect that in our group
3 that has actually 20 percent -- the group that
4 is the experimental group had 20 percent
5 rheumatoid and the other group had maybe about
6 seven percent rheumatoid less, less rheumatoid
7 patients -- the group that got the STARS would
8 have worse, in general, subtalar motion
9 because they are more likely to have
10 multiticular involvement.

11 So we would say, if anything, the
12 results are biased against the STAR group for
13 motion. But we didn't measure that and it is
14 a strong and very good point.

15 The last question which was I think
16 the continued access x-ray question was
17 mentioned. I'll try to be quick on that.
18 When the sponsor was asked by the FDA to get
19 some information on x-rays --

20 CHAIR KIRKPATRICK: Excuse me, if I
21 could just clarify, it is for post-approval
22 plans.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. COUGHLIN: Oh, post-approval,
2 okay. It's not that. There was an
3 amputation, too. But she's going to do that,
4 okay.

5 DR. AHRENS: And I have it.

6 DR. COUGHLIN: The post-approval
7 plan is to get standing x-rays, AP lateral of
8 the ankle pre-op, one year, two year, four
9 year, and eight year. We think those
10 intervals will tell us if the implant is
11 migrating and if we have a problem. So zero,
12 one, two, four, eight. And we think we
13 probably can get the patients to come back at
14 those intervals.

15 CHAIR KIRKPATRICK: On all
16 patients?

17 DR. COUGHLIN: On all patients,
18 yes. That's right.

19 CHAIR KIRKPATRICK: Thank you.

20 DR. PFEFFER: May I ask for a
21 clarification at this point? Or should I want
22 until later?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Go ahead and
2 ask.

3 DR. PFEFFER: I just really need to
4 clarify a point. If you look at the pivotal
5 group and if we look at those who had, on the
6 Buechel-Pappas score, less than 14 degrees of
7 cumulative motion in the hindfoot, that's a
8 really stiff hindfoot, Dr. Coughlin has
9 already said this is ankle but it probably is
10 some kind of cumulative -- it's on page 25 --
11 I don't know where in the book, okay.

12 Now the arthrodesis group had a 53
13 percent -- 53 percent of the arthrodesis group
14 has less than 14 degrees of hindfoot motion
15 while only 27 percent of the STAR group did.

16 Now we all know that the stiffer
17 the subtalar joint, which is probably implied
18 by that 53 percent, the worse we are going to
19 do after an ankle fusion. So the division of
20 these groups is not biased in favor of the
21 STAR but it is highly biased in favor of the
22 ankle fusion group doing poorly.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Would you agree with that? You
2 know these patients. I just see the data.
3 Just look at page 25 and look at your range of
4 motion. Do you see? You have a much stiffer
5 group in your arthrodesis group which I would
6 expect would do poorly.

7 CHAIR KIRKPATRICK: Dr. Mann?

8 DR. MANN: Dr. Mann. Well, what
9 you say is correct. But these people do have
10 enough range of motion that they can get by
11 with it. If the subtalar joint was that
12 deteriorated prior to surgery, we wouldn't
13 have put them into the study as we would have
14 excluded them from the study.

15 DR. PFEFFER: But you don't feel
16 that this has biased the arthrodesis group to
17 do poorly because patients who were getting
18 arthrodeses had worse subtalar motion in the
19 STAR group. Forget the STAR. Let's just look
20 at the ankle fusion group.

21 DR. MANN: Right.

22 DR. PFEFFER: As I look at all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data, this bit of data is the part that
2 stymies me. Because clearly depending on how
3 well the ankle fusion group does reflects on
4 the STAR. Fifty-three percent of the
5 arthrodesis group versus only 27 percent of
6 the STAR group had hindfoot motion of less
7 than 14 degrees.

8 DR. SALTZMAN: Is that total range
9 of motion? It's not hindfoot motion. It's
10 total --

11 DR. PFEFFER: I'm taking -- no,
12 what it is called is combined motion.

13 DR. SALTZMAN: It's probably ankle
14 --

15 DR. PFEFFER: Yes.

16 DR. SALTZMAN: -- subtalar, talar,
17 talonavicular joint --

18 DR. PFEFFER: Right.

19 DR. SALTZMAN: -- motion. And so
20 the problem with that analysis, I believe, is
21 you can't --

22 DR. PFEFFER: My analysis?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SALTZMAN: -- say that the
2 subtalar joints were stiffer in one group than
3 another because you are combining an ankle
4 joint that is invariably stiff in that total
5 range. The other thing is, as you know, to be
6 fair to the non-clinicians, measurement of
7 motion around the ankle is very difficult
8 clinically. And we think there is quite an
9 error in that motion.

10 DR. PFEFFER: All right. I think
11 that is a fine answer. Had you used your
12 criteria, the Saltzman criteria, that Pyevich
13 used with the Agility ankle, we wouldn't have
14 this problem because you would have range of
15 motion documented by x-ray.

16 CHAIR KIRKPATRICK: If I may, we're
17 not going to get into a debate on all that.

18 DR. PFEFFER: Sorry.

19 CHAIR KIRKPATRICK: But I would
20 like to reiterate the fact that in my
21 training, we had a very esteemed senior
22 faculty named J.L. Goldner and he used to talk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about evaluating subtalar motion by its
2 imperceptible but I can feel it. So that's
3 something that we all need to keep in mind.

4 On a follow up to the range of
5 motion, however, did you get radiographic
6 range of motion studies? Pre-, post-op,
7 anytime?

8 DR. COUGHLIN: No, in general we
9 did not. We had started, as I mentioned
10 earlier to another question, we started to do
11 that and then the harangue from patients and
12 doctors about if we were checking range of
13 motion at, you know, six months, year, so
14 forth, with extra x-rays, we clinically or we
15 just morally couldn't do that.

16 I mean I wanted to do that because
17 I wanted to prove it and to show it. But my -
18 -

19 CHAIR KIRKPATRICK: The answer is
20 no, thank you.

21 Did you have another response?

22 DR. AHRENS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Please identify
2 yourself for the transcriptionist.

3 DR. AHRENS: I'm Jeanette Ahrens.

4 First I'd like to point out that
5 Slide 39 in the FDA presentation we realize is
6 -- there is an error in it. We discussed this
7 with Dr. Foy during the break. Really it
8 actually is -- the title states that it is
9 overall success but it is really safety
10 success.

11 And then this slide seems to
12 indicate that we didn't meet our overall
13 success rate, however, in fact, we did our
14 overall success rate with all analyses in both
15 pivotal and the continued access studies. And
16 so we just wanted to state that for
17 clarification. Our Slide 96 actually shows
18 this slide corrected.

19 In addition, I would like to answer
20 some of the statistical questions. First, the
21 easier one.

22 Dr. Pfeffer, regarding the protocol

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exemptions, the osteoporosis and the weight,
2 those patients were included in both the ITT
3 and the completers analysis. So they weren't
4 included in the protocol but they were
5 included in the other analyses.

6 Okay, moving on to the other ones,
7 the continued access safety success rate where
8 we actually looked at the comparisons, when we
9 compared the safety success rate in regarding
10 the radiographs to the pivotal and the
11 control, we did four different analyses
12 regarding that.

13 The first one we compared all
14 groups without radiographic data. We wanted
15 to go ahead and make sure that we had
16 everything cross-comparison.

17 The second one, we did all groups
18 with radiographic data that was available,
19 even for the continued access patients.

20 The third one was an imputation
21 where we applied the radiographic failure rate
22 alone. That means those patients that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presented as radiographic failures that were
2 also not considered major complications or had
3 surgical interventions in the study at that
4 time period from the pivotal study to the
5 continued access patients that did not have
6 radiographic data.

7 We did this for both the original
8 PMA analysis as well as the revised analysis
9 on the radiographic data.

10 All the findings from these
11 imputations in the various analyses that we
12 did in these four different areas were similar
13 and all demonstrated non-inferiority with the
14 control group compared to the continued
15 access.

16 There was a suggestion that there
17 was interim analysis that was performed
18 because we had three patients that were
19 actually not completed in the arthrodesis
20 group to their 24-month window. We don't
21 believe that this was an interim analysis
22 because we are missing three patients. We

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 weren't assured that we were getting those
2 three patients away.

3 We did take measures to impute the
4 missing data in both worst case analysis and
5 the last observation carried forward. So I
6 just wanted to go ahead and clarify that.

7 And the final statistical issue was
8 regarding the propensity-adjusted scores. It
9 is important to note that despite their
10 limitations both the propensity-adjusted and
11 covariate-adjusted analyses that were
12 performed did not change the conclusions from
13 the unadjusted analyses.

14 We acknowledge that with the
15 differences between the groups and that may
16 exist in variables that were not collected.
17 Therefore, the propensity and covariate
18 analysis cannot adjust entirely for the
19 differences between the groups.

20 But as Dr. Coughlin has stated, a
21 study of this magnitude has not been attempted
22 before in the ankle and the clinical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significance of these results and differences
2 should be considered as they were addressed by
3 the clinicians.

4 Thank you.

5 CHAIR KIRKPATRICK: Thank you.

6 Okay, we're going to have a comment
7 from the FDA with regard to the slides on the
8 statistical analysis. Go ahead, yes. I'm
9 just mentioning that is what you are going to
10 do.

11 DR. POPOVIC: Yes, hi, I'm Dr.
12 Popovic. I presented the Slide 39 and I'd
13 like to point out that all the slides that we
14 presented were presented and given to the
15 sponsor as of last Friday. This is the first
16 time I have heard any comments about Slide 39.

17 I would also like to point out that
18 just about on a daily basis we have gotten re-
19 analysis of the data, ad hoc analysis --

20 CHAIR KIRKPATRICK: Thank you. I
21 am equally as frustrated as you are at the
22 lack of attention to detail when it comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 across. But I don't think now is the time to
2 address that.

3 DR. PROPERT: But I just want to
4 point out that there is a possibility that the
5 title may be slightly different. However, I
6 want to suggest that the time for correction
7 was earlier on --

8 CHAIR KIRKPATRICK: I agree but we
9 need to move on. Thank you.

10 Mr. Melkerson?

11 MR. MELKERSON: I apologize for
12 that. But in terms of discrepancies in one or
13 two numbers, it is not an issue here. I would
14 leave the Panel to the discussion.

15 CHAIR KIRKPATRICK: I concur.
16 Thank you.

17 I do have one other follow-up
18 question on the desk. I didn't catch the time
19 of the MI. You said there was a myocardial
20 infarction.

21 DR. COUGHLIN: Yes, sir, three
22 months following the surgery.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Three months
2 following surgery, was that a rehab event? Or
3 was that simply an isolated event not related
4 to their rehab?

5 DR. COUGHLIN: I'm not aware of
6 that.

7 DR. AHRENS: A related prior
8 condition.

9 DR. COUGHLIN: A related prior
10 condition.

11 CHAIR KIRKPATRICK: Thank you.

12 I would suggest to the Panel that a
13 PE anytime within the first three months after
14 surgery is related to the procedure. But my
15 interpretation would be we would have to
16 question whether it was related to the
17 implant. And in my clinical judgment, it
18 probably is not.

19 But it is -- as advice to everyone
20 in the room -- it is a reportable event
21 because we don't yet know whether it could be
22 specifically device related. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Are there any other questions from
2 the Panel that we did not get addressed?
3 Anything that we asked before lunch that we
4 didn't hear adequate answers? Are we okay?

5 Dr. Propert?

6 DR. PROPERT: And thank you, the
7 statisticians, for those clarifications.

8 This may have been answered and I
9 missed it but I'm still unclear on whether the
10 control group underwent the same review of
11 radiographs. I realize the criteria are
12 different but if the exact same level of
13 review was done for the control subjects. It
14 has been brought up a number of times.

15 DR. COUGHLIN: No, you bring up a
16 good point. The radiographs were reviewed by
17 the site principle investigator for the
18 control groups. There was no central
19 reviewer. They were not re-reviewed.

20 DR. PROPERT: They were not re-
21 reviewed.

22 DR. COUGHLIN: We relied on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reading of the clinical investigator whether
2 the ankle was fused or not. And whether it
3 was fused straight or crooked.

4 DR. PROPERT: Okay. Thank you.

5 CHAIR KIRKPATRICK: Thank you.

6 Are there any further
7 clarifications of our questions that we asked
8 the sponsor or the FDA before lunch?

9 (No response.)

10 CHAIR KIRKPATRICK: Seeing none,
11 thank you.

12 At this time we'd like to focus our
13 discussion on the specific FDA questions.

14 Mr. Pinder, I understand you have
15 some slides prepared to go over -- the FDA
16 questions.

17 Yes? And would you please begin by
18 reading Question No. 1?

19 DR. SALTZMAN: Could I clarify one
20 thing? I just wanted -- for those who are not
21 clinicians, we think that most orthopedic
22 surgeons know how to read an x-ray for a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fusion. And that any foot and ankle surgeon
2 should be able to do that. And that is why it
3 wasn't read centrally.

4 We may have under -- we may have
5 had more non-unions if --

6 CHAIR KIRKPATRICK: Thank you.

7 DR. SALTZMAN: -- we had read it.

8 CHAIR KIRKPATRICK: Thank you.
9 Yes, that's a methodological issue that --
10 thank you.

11 Mr. Pinder, at this time, we'd like
12 you to address us with Question No. 1.

13 MR. PINDER: All right. Panel
14 Question No. 1, the applicant has revised the
15 pivotal radiographic analysis that was
16 initially provided in the PMA. This revised
17 analysis impacts a total of 12 STAR patients,
18 seven patients who did not meet the original
19 analysis definition of success at six or 12
20 months into a radiographic success at 24 but
21 were carried forward as radiographic failures,
22 and five patients who were radiographic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failures but who were considered clinical
2 successes and who did not have radiographic
3 progression.

4 Under the original PMA protocol,
5 the 15 percent non-inferiority margin delta
6 for safety was not met. The delta is met by
7 including these 12 patients as safety
8 successes.

9 Please comment on the
10 appropriateness of the revised analysis and
11 the impact of these changes on the
12 interpretation of the patient safety and
13 overall safety success rates for the study.

14 CHAIR KIRKPATRICK: Thank you.

15 We'll go around the table but we
16 will start at different people for each
17 question. The first one we'll start with Dr.
18 Mayor.

19 DR. MAYOR: Thank you.

20 In response to Question No. 1
21 addressed to the Panel, appropriate? No.
22 Adequate? Probably. Impact? None.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Thank you.

2 Dr. Pfeffer?

3 DR. PFEFFER: Are you reading from
4 something?

5 (Laughter.)

6 DR. PFEFFER: All right. I think
7 the Part A is completely reasonable. And I
8 think in terms of study design, although I'm
9 not pleased with Part B, it is acceptable to
10 me given the attention to detail that they
11 placed. And I would allow those patients to
12 all be considered as part of the statistical
13 analysis.

14 CHAIR KIRKPATRICK: Thank you.

15 I'll remind the Panel members that
16 there copies of the questions in our blue
17 folders. So you are not forced to see me
18 after the Panel meeting for your cervical
19 radiculopathy. If you'd like to look at it in
20 front of you, you have it there. Thank you.

21 Dr. Propert?

22 DR. PROPERT: I agree with Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Pfeffer that A is not a problem provided there
2 is no clinical information in people who
3 improved between 12 and 24 months.

4 I do think B is an issue. I can't
5 really assess the radiographic criteria but it
6 always worries me when a decision or a
7 conclusion about the effectiveness of a
8 treatment hinges on five people.

9 CHAIR KIRKPATRICK: Thank you.

10 Dr. Skinner?

11 DR. SKINNER: Well, these are
12 clinical studies and clinical studies have
13 problems. And if you knew exactly what was
14 going to come out of them, you could design
15 them perfectly.

16 And I think that this is
17 reasonable, perhaps not appropriate, but
18 certainly reasonable.

19 CHAIR KIRKPATRICK: Thank you.

20 Dr. Goodman?

21 MEMBER GOODMAN: Well, I think
22 definitions are really important. I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think you can talk about radiographs and talk
2 about clinical success in the same mouthful.
3 I think the best of all studies makes
4 definitions before the whole study starts and
5 sticks with them.

6 And I can understand the dilemma
7 that the investigators found themselves in,
8 that some of the patients who probably had
9 radiographic failures, so to speak, were doing
10 clinically all right. But I think one should
11 use the terminology that one chooses at the
12 beginning rather than modify things as one
13 goes along.

14 CHAIR KIRKPATRICK: Thank you.

15 Dr. Wright?

16 DR. WRIGHT: I agree with
17 everything that has been said. I think that
18 in reference to B, I think that we would call
19 that a stable radiographic failure. It's not
20 progressing. It is staying the same.

21 I think we would really have
22 problems if we didn't like the alignment of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something, that it was not radiographically
2 satisfactory versus something that is in
3 satisfactory alignment but has a radiolucent
4 line. So I think the explanations were
5 adequate.

6 CHAIR KIRKPATRICK: Thank you.

7 Ms. Whittington?

8 MS. WHITTINGTON: I concur,
9 especially with Dr. Goodman. But we have many
10 well-educated consumers and if they see that
11 Part B does not meet the radiographic failure
12 definition, I think that that would raise
13 their eyebrows. And we have a lot of very
14 educated patients these days.

15 So it can be a clinical success. I
16 understand that and I've seen it. But I think
17 that needs to be clearly delineated. I have
18 problems with B.

19 CHAIR KIRKPATRICK: Thank you.

20 Ms. Adams?

21 MS. ADAMS: Well, I appreciate the
22 comments of the rest of the Panel, especially

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the ones that talk about the practical side of
2 this. Coming from industry and having been a
3 participant in a variety of different kinds of
4 responsibilities associated with these sorts
5 of studies, this issue of radiographic success
6 and failure is an ongoing issue in the
7 orthopedic community.

8 We see it in spine studies. We see
9 it in almost every study that somebody tries
10 to prepare. And the FDA is trying to do a
11 good job by helping us sort through defining
12 objective criteria.

13 And companies are trying to sort
14 through what they should look like. But this
15 is not new what this company is experiencing.

16 And it is not unusual. So I sympathize with
17 them for the struggle that they went through
18 on this.

19 CHAIR KIRKPATRICK: Thank you.

20 Mr. Melkerson, in summary to that
21 question, the purists in us would say that it
22 was inappropriate, however, a realistic way to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look at the data.

2 And as far as whether the
3 radiographic failures and clinical successes
4 or radiographic success correlates to clinical
5 failure or clinical success we would say we
6 don't know. Does that adequately address this
7 question for you?

8 MR. MELKERSON: A point of
9 clarification and I think I've heard a couple
10 of things, in terms of how radiographic
11 success was defined, some of it was in terms
12 of subsidence, some of it was in terms of
13 angulation or orientation. And I thought I
14 heard that if the issues of alignment come
15 into play, we may have a little bit different
16 concern with that.

17 How would you suggest labeling
18 something should that -- now you talked about
19 clinical success and radiographic successes
20 being different terms, but how would you
21 suggest the FDA approach something like that
22 in terms of labeling?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: I'm not sure I
2 know exactly what you are getting at.

3 MR. MELKERSON: You have defined a
4 clinical success or radiographically stable in
5 terms of presenting information and we have
6 already identified the user community wants to
7 know what does that mean to me as a patient.
8 How do you present that information in terms
9 of do you split out radiographic from success?
10 Or do you combine them?

11 CHAIR KIRKPATRICK: I would suggest
12 that I'll take a first stab at that and say
13 that you would need to have in the labeling a
14 presentation of the issues separately so that
15 the surgeons and/or the patients can make
16 their own decision as to whether that was a
17 success or not.

18 And I'll certainly open it to other
19 Panel members to comment.

20 DR. WRIGHT: Since I got us into
21 this by talking about -- the flip side of this
22 coin is that we really didn't talk about the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radiographic analysis of the fusions. And so
2 I think, you know, I was just trying to define
3 what a stable radiolucent failure could
4 represent. But I think that the explanation
5 was satisfactory.

6 CHAIR KIRKPATRICK: Any additional
7 answers about labeling?

8 (No response.)

9 CHAIR KIRKPATRICK: Thank you.
10 Then does that adequately address
11 Question 1?

12 MR. MELKERSON: I believe so.

13 CHAIR KIRKPATRICK: Thank you.

14 Please proceed with question two.

15 MR. PINDER: All right. Question
16 two, fractures of the mobile bearing have been
17 noted in the applicant's informal retrieval
18 analysis. Fractures have also been reported
19 in the literature.

20 Functional wear testing performed
21 by the applicant has not replicated this
22 clinical failure mode. The compressive load

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 used during testing is less than half of what
2 the Agency considers worst case.

3 Though fracture rates are
4 relatively low, please comment on the adequacy
5 of the functional wear testing and please
6 discuss whether any additional preclinical
7 testing would be helpful to address long-term
8 device durability.

9 CHAIR KIRKPATRICK: Thank you.

10 This time we will start with Dr.
11 Pfeffer.

12 DR. PFEFFER: Well, this is perhaps
13 the area of my least expertise but as Dr.
14 Saltzman said, the understanding of the
15 biomechanics of the ankle are in its infancy.

16 And this ankle is being recommended for
17 people up to 250 pounds.

18 I'd like to see this ankle placed
19 through 10 million cycles at 6,000 Newtons so
20 we can gather as much information as possible
21 which I understand is not a particularly
22 onerous thing to do and is possible.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Thank you.

2 Dr. Propert?

3 DR. PROPERT: I'll abstain on this
4 one.

5 CHAIR KIRKPATRICK: Thank you.

6 Dr. Skinner?

7 DR. SKINNER: Dr. Propert, how many
8 samples did you say?

9 DR. PROPERT: I would defer on that
10 -- 2,000 samples? No, I'm joking.

11 (Laughter.)

12 DR. PROPERT: Your call, Harry.

13 DR. SKINNER: Well, ten million
14 cycles is several days testing if you just do
15 it straight through. But I'm not sure -- I
16 certainly think that the fractures are
17 concerning and I'm not sure they are related
18 to the wear testing.

19 If it appears from the retrieval
20 set that have been obtained, and that data
21 wasn't available to us, that it is related to
22 the metal markers that are placed in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 polyethylene, three of which broke in the wear
2 testing, then I would say that further wear
3 testing would be appropriate.

4 And if further wear testing was
5 going to be done, I think it would be
6 appropriate to do that wear testing at higher
7 loads. Not necessary 6,000 Newtons but
8 certainly at loads that would be more
9 appropriate for these large patients that it
10 is apparently indicated for.

11 I think other than wear testing, I
12 think that perhaps more information could be
13 obtained from further FE analysis to look at
14 stress levels in areas where fractures seem to
15 initiate in the polyethylene. And that's
16 relatively easy to do and could be very
17 edifying.

18 CHAIR KIRKPATRICK: So if I could
19 clarify, you would suggest that they review
20 the fractures that have occurred and see if
21 they can look at that with the finite element
22 model to determine the mechanism?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SKINNER: Yes.

2 CHAIR KIRKPATRICK: Thank you.

3 Dr. Goodman?

4 MEMBER GOODMAN: I'll be brief. I
5 think that more realistic biomechanical
6 studies should be done in the patient
7 populations dictated by the indications. So
8 that would be heavier patients certainly. And
9 those could be negotiated with the FDA.

10 Second, I think we heard about an
11 opportunity to look at the retrievals for any
12 operations which have gone on to revision.
13 And this may also shed some light on the
14 mechanisms of wear and possibly the mechanisms
15 of fracture as told to us by Dr. Skinner.

16 CHAIR KIRKPATRICK: Thank you.

17 Dr. Wright?

18 DR. WRIGHT: I agree. I think that
19 probably a realistic approach might be to
20 adopt a universal retrieval analysis of these
21 implants because I had a very poor feeling for
22 where the implants were fracturing.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Thank you.

2 Ms. Whittington?

3 MS. WHITTINGTON: I have nothing
4 additional to add.

5 CHAIR KIRKPATRICK: Thank you.

6 Ms. Adams?

7 MS. ADAMS: Nothing further.

8 CHAIR KIRKPATRICK: Okay, thank
9 you.

10 If I could just ask one thing that
11 I anticipate Mark will ask, do you believe
12 that such additional testing should be done
13 before approval? Or as post-approval within a
14 certain amount of time? Or anything like
15 that? Oh, I'm told that this will come up if
16 we get to the vote anyway. So keep that in
17 mind, okay? Thank you.

18 Mr. Melkerson, it sounds like --
19 oh, I'm sorry, you are right. I didn't keep
20 my order, so Dr. Mayor. Thank you.

21 DR. MAYOR: Thank you. My
22 perspective on this issue is that we are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 dealing inappropriately with the question of
2 testing. And the reason I say that is that I
3 think we need to be very clear about what it
4 is we are testing.

5 We take a polyethylene bearing,
6 which has never been sterilized, has been
7 managed very carefully through the
8 manufacturing process in fabricating to its
9 final form, we can test that and not represent
10 in any remotely reassuring way what an implant
11 that has been sterilized, packaged, stored in
12 a detail person's vehicle through the summer
13 in Georgia. And then brought in to the
14 operating room on request, opened, implanted,
15 and then walked on for four years. That piece
16 of polyethylene is not the same.

17 And so unless we make that
18 distinction, we are not going to get an answer
19 from any regimen of testing to the question
20 that we really need to ask. And that is how
21 durable is the polyethylene that has been
22 carried through the entire process to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 implantation going to be three or four years
2 later after a patient of whatever size has
3 been making demands on it.

4 So I remain very concerned about
5 the mechanical durability of these
6 polyethylene components in this design element
7 where we are dealing with a 48-month cadre of
8 a segment of the studied population in the
9 context of a situation in which four of them
10 fractured and was described, at least for a
11 small number of those fractured components,
12 that they were traumatic in nature, my sense
13 of polyethylene and lower limb biomechanics
14 regarding biological tissue is that trauma
15 that was severe enough is going to damage the
16 bone first and the polyethylene either not at
17 all or later.

18 My concern is that a polyethylene
19 component that fractures under that kind of
20 traumatic load has lost some of its original
21 mechanical properties. And in view of
22 testimony to that loss of mechanical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 properties that is evidenced by the fact that
2 it fractured, it's very troublesome.

3 I don't know how many of the
4 European studies would address the question of
5 long-term durability beyond four years. But
6 we certainly had evidence from the retrievals
7 that we have looked at the Engineering School
8 at Dartmouth that polyethylene bearings like
9 the ones in the LCS meniscal bearing knee
10 start to fail late.

11 They fail from catastrophic
12 mechanisms. The tibia is no longer capable of
13 supporting the superimposed femur. And the
14 femur falls off the tibial meniscal bearing
15 because it breaks.

16 And I don't want to see that
17 repeated in any significant number of patients
18 in whom expectations of at least a five- to
19 ten-year durability in service was expected in
20 that patient.

21 CHAIR KIRKPATRICK: May I just
22 follow up with you, Dr. Mayor? Do you have a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific way to determine that long-term
2 durability?

3 DR. MAYOR: Yes. Implants that
4 have been two years or more subsequent to
5 their implantation should be evaluated for
6 FTIR oxidation levels and mechanical
7 properties, including elongation and ultimate
8 strength.

9 And if those properties of ultimate
10 strength and elongation are maintained and if
11 the FDIR data suggests a level of oxidation
12 below threshold, which we can identify quite
13 clearly from our studies, then I would be
14 reassured that the polyethylene is probably
15 not an element of vulnerability in the ankle.

16 CHAIR KIRKPATRICK: So that, by
17 necessity, requires retrieved implants?

18 DR. MAYOR: You could do it with
19 manufactured implants that have been carried
20 through sterilization. And those could be
21 acceleration aged in order to demonstrate the
22 effects of oxidation on their mechanical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 properties.

2 CHAIR KIRKPATRICK: By various
3 standards in the literature as far as that
4 aging?

5 DR. MAYOR: Right. The technology
6 is widely available.

7 CHAIR KIRKPATRICK: Thank you.

8 Dr. Skinner, you wanted to comment
9 to something Dr. Mayor said?

10 DR. SKINNER: Well, I certainly
11 agree with Dr. Mayor that the mechanical
12 properties of a polyethylene implant are a
13 function of the chemical environment it has
14 been in for the past one, two, three, four, et
15 cetera years.

16 But I'd also submit that the
17 properties are a function of the mechanical
18 environment it has been in. And that's why I
19 have suggested the FE studies and perhaps more
20 wear testing because those are something that
21 can be done immediately. And get some
22 information about what kind of mechanical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stress state it is going to experience in the
2 coming years.

3 CHAIR KIRKPATRICK: Dr. Mayor, once
4 again?

5 DR. MAYOR: I think you are quite
6 right, Harry. But I still feel that we have
7 got to be very clear about the fact that you
8 may be dealing with two different populations
9 of polyethylene, related to the time-related
10 effect of oxidation, which we have been
11 sobered to realize may not be available in
12 terms of apparent change in less than two
13 years of clinical service.

14 And that is why I suggest we can
15 achieve insights that are necessary to
16 reassure us if existing implants are brought
17 in, having been processed the way clinical
18 implants would be, and then accelerated aged
19 to make sure that we are actually looking at
20 performance-related responses of polyethylene
21 components in the mechanical environment that
22 the patient is going to expose them to.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Thank you.

2 Mr. Melkerson, it appears that the
3 Panel does have some concerns about additional
4 preclinical testing that could be considered
5 from two standpoints. One is the long-term
6 durability and/or wear. And the second is the
7 fracture which may be related to a long-term
8 wear situation or may be related to some other
9 aspects, either acute trauma or a fatigue
10 fracture or whatever. But that is not clear
11 yet.

12 Further investigation to replicate
13 that mechanism may be of benefit. The
14 specific methods of determining durability are
15 apparently under debate. There are some
16 quicker ways to do it with finite element
17 modeling. But then there is also very
18 relevant concerns about the long-term effects
19 of oxidation on the polyethylene and its
20 change in mechanical properties.

21 So does that adequately address
22 your concerns on this question?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MR. MELKERSON: I believe so. And
2 I think you for the discussion.

3 CHAIR KIRKPATRICK: Thank you.

4 Next?

5 MR. MELKERSON: All right, Question
6 No. 3, the continued access study consisted of
7 424 patients. At the time of PMA submission
8 the applicant indicated that 320 patients were
9 expected for 24-month follow up. Information
10 was collected on 211 subjects, 66 percent.

11 The applicant conducted a
12 radiographic review on subjects that included
13 in the first a continued access cohort, 150
14 patients. One hundred and twenty patients had
15 a 24-month visit included in the database, 85
16 patients had radiographs digitized and
17 available for analysis. And 80 radiographs
18 were ultimately reviewed.

19 Please discuss whether the data
20 available from the continued access study
21 cohort are adequate to determine if the safety
22 success rate is comparable to the control

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 group.

2 CHAIR KIRKPATRICK: Thank you.

3 Dr. Propert?

4 DR. PROPERT: This really is one of
5 my major concerns. A 66 percent follow-up
6 rate is pretty low. And if you look at the
7 follow-up rate for the radiographs, depending
8 on what you use as a denominator, I'm getting
9 something between 20 and 66 percent.

10 And given our discussion two slides
11 ago about radiographic criteria, I think we
12 would need the data to actually put some
13 solidity behind that statement.

14 Just another comment that if all
15 the discussion I have understood about the
16 learning curve is true, further follow up
17 should actually improve the safety outcomes
18 for the more recent subjects, I would think,
19 overall.

20 CHAIR KIRKPATRICK: Thank you.

21 Dr. Skinner?

22 DR. SKINNER: Well, again, this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sort of goes to question one. I sort of
2 recognize that clinical data has flaws in it.

3 And you can't have a perfect study. I don't
4 think this is necessarily the optimal way to
5 go about this but I think it is probably
6 adequate.

7 CHAIR KIRKPATRICK: Thank you.

8 Dr. Goodman?

9 MEMBER GOODMAN: Well, we have a
10 dilemma. We have a large group of patients
11 and not a lot of them have the follow up that
12 we would like. And I'd have to rely on my
13 statistical colleague to make a final judgment
14 as to whether this is adequate or not.

15 CHAIR KIRKPATRICK: Dr. Wright?

16 DR. WRIGHT: I think that the
17 follow up is adequate marginally. But I am
18 satisfied with the results.

19 CHAIR KIRKPATRICK: Thank you.

20 Ms. Whittington?

21 MS. WHITTINGTON: I'm going to have
22 to defer to the statistician on this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIR KIRKPATRICK: Thank you.

2 Ms. Adams?

3 MS. ADAMS: I would only comment
4 that many PMA studies typically have
5 enrollments of around 250 patients and we are
6 making decisions about safety. And in this
7 case we have 600 cases and we can debate all
8 day long about radiographic success.

9 But I think if we had 600 patients
10 enrolled and had a safety problem, we would
11 see a signal. So maybe just to put it in
12 context, that is my comment.

13 CHAIR KIRKPATRICK: Thank you.

14 Dr. Mayor?

15 DR. MAYOR: I think it represents a
16 classical quandary in clinical experimentation
17 where follow up is hard to get without the
18 active and vigorous participation of a private
19 investigator.

20 That said, my concern is related
21 less to that first two- to four-year interval
22 than it is to later possibilities, as I've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 implied earlier, regarding the possibility of
2 catastrophic failure in even a small number of
3 individuals. So I don't have any major
4 criticism with regard to Panel Question 3.

5 CHAIR KIRKPATRICK: Thank you.

6 Dr. Pfeffer?

7 DR. PFEFFER: If this continued
8 access study were the only information we had,
9 I don't think we would need a statistical
10 analysis to reject this summarily. A 34
11 percent loss to follow up would not be
12 accepted in any peer review journal in the
13 United States.

14 That said, I'm not bothered that
15 much by this at all because the detail and
16 quality of the pivotal study was great. And
17 that, to me, stands on its own. So I would
18 cast a blind eye on this data because of the
19 quality and detail of the pivotal study.

20 CHAIR KIRKPATRICK: Thank you.

21 Mr. Melkerson, I don't think any of
22 the Panel is enthusiastic about this. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 much like politics, they have to choose the
2 candidate based upon what is realistic as
3 opposed to what would be exactly what they
4 wanted.

5 And so as such, it is an acceptable
6 issue to relate this data. The feeling was
7 that the pivotal data was good enough that the
8 continuing access would only have changed it
9 if it came up with significant red flags of
10 safety is an interpretation that I would
11 apply. And as such, we think it is okay.

12 Does that adequate address your
13 concerns?

14 MR. MELKERSON: Yes, thank you.

15 CHAIR KIRKPATRICK: Thank you.

16 What is question four?

17 MR. PINDER: The applicant compared
18 the surgical complications of the pivotal
19 patients to the first 15 patients of the
20 continued access study to the remaining
21 patients from the access study. In addition,
22 the applicant looked at three investigators

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who only participated in the continued access
2 study and concluded that a 15-patient learning
3 curve was apparent.

4 Please comment on the adequacy of
5 the proposed training program to ensure the
6 sufficient surgeon preparation and knowledge
7 of the surgical procedure.

8 CHAIR KIRKPATRICK: Thank you.

9 If the Panel members need to review
10 the training program, it is under Tab ten of
11 our program. It was also -- they had a few
12 slides in their presentation on it as well.

13 So we will start with Dr. Skinner
14 this time.

15 DR. SKINNER: Thank you, Dr.
16 Kirkpatrick.

17 Obviously I think it is clear that
18 if it takes 15 patients to learn how to do
19 this operations, we shouldn't let any of the
20 surgeons do 15 patients. We should have them
21 start with 16.

22 (Laughter.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SKINNER: And that would take
2 care of the problem.

3 Being a little bit more realistic,
4 however, I think we have a situation where we
5 have to balance practicality with the ideal.
6 And I think the training program that is
7 outlined is pretty good. I think that it is
8 about as good as you can do.

9 Being practical about this, a day-
10 and-a-half of training is a significant amount
11 time out of a surgeon's practice. Hopefully,
12 that surgeon would not perform these until he
13 or she felt quite comfortable with the
14 procedure.

15 And we have to keep in mind that it
16 is also the hospital medical staff's
17 responsibility to ensure that surgeons
18 performing procedures in that hospital have
19 competence and current training to perform
20 such a procedure.

21 Based on that, I would have to say
22 that the training program, as outlined by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701