

1 adenovirus virions or virions of any virus vector
2 that's being used.

3 The second case is particularly worrisome
4 in a sense because, as compared to DNA that's floating
5 around free, because you're giving that DNA a very
6 efficient means not only of getting into the cell, but
7 getting into the nucleus of the cell, and so I think
8 that's something that ought to be looked at, at least
9 looked at experimentally to see what the issues really
10 are.

11 ACTING CHAIRMAN DAUM: Thank you.

12 I can reassure you that every word is
13 being recorded, and from what I've seen of my E-mail
14 since I've chaired this Committee, read very
15 carefully.

16 Dr. Moulton and then Dr. Aguilar-Cordova.

17 DR. MOULTON: Okay. Just a few points.
18 One is I don't think I've heard anyone commend the
19 risk analysis that was done for TSE/BSE, and actually
20 I was pretty well impressed by that. It was basically
21 a combination of prevalence and dilutional
22 calculations, and you know, if I had a one in 1,000
23 risk of getting HIV next year, I wouldn't hesitate to
24 line up for a vaccine based on this product.

25 So that didn't bother me. I guess also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because I'm not from the U.K.

2 But on the other hand, I think I did hear
3 a lot of people saying that we do need more data for
4 the oncogenicity question because those calculations
5 are basically a linear extrapolation from a dozen
6 unfortunate chickens, and we do need some more
7 information there.

8 And the other thing is that a calculated
9 risk is not the same thing as a managed risk, and it
10 would be good to make sure that we have a good plan
11 down the line for how to manage these risks because at
12 the end of the day, we're not going to know what they
13 are very closely, and there are things we can do to
14 manage them, and these include longer term follow-up
15 of patients who are in Phase 1 and Phase 2 trials, as
16 well as enhanced surveillance methods and efforts at
17 the point of introducing vaccines into the population.

18 ACTING CHAIRMAN DAUM: Thank you very
19 kindly.

20 Dr. Aguilar-Cordova.

21 DR. AGUILAR-CORDOVA: Yes. An issue that
22 hasn't been brought up and trying to think of novel --

23 ACTING CHAIRMAN DAUM: Thank you.

24 DR. AGUILAR-CORDOVA: -- this is something
25 that we've looked at in the gene therapy and discussed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at RAC quite often, and that is the shedding of
2 vectors, and of course, we're looking at it in a small
3 population, but if one thinks about a larger
4 population, there will be many of those that would
5 receive the vaccine that may have active adenoviral
6 infection or get active adenoviral infections through
7 that period and then co-package or carry the new
8 vaccine and shed that to the environment in general.

9 That may be a positive or it may be a
10 negative. I'm just putting it out there as a risk
11 factor that is certainly possible.

12 With regard to packaging of cellular
13 genes, that is not a very efficient issue with
14 adenoviruses.

15 ACTING CHAIRMAN DAUM: How efficient do
16 you need to be though? That's the question.

17 Thank you very kindly.

18 Dr. Cook and then Dr. Priola, Dr. Kohl,
19 Dr. Faggett.

20 DR. COOK: I just want to respond to Ms.
21 Fisher's point about SV40 being cultured from a
22 variety of different types of tumors. It's probably
23 good to set the record straight and say that wasn't
24 the case. In fact, SV40 in most of these studies has
25 been detected only by fairly high PCR cycling, and so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE, N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it's sequenced detection, not viral infection that's
2 being detected in these tumors.

3 ACTING CHAIRMAN DAUM: Thank you for
4 clarifying, Dr. Cook.

5 Dr. Priola, please.

6 DR. PRIOLA: Yeah, I want to bring back up
7 one issue that struck me this morning from Dr. Hughes'
8 talk, and that is how, of course, we all know that
9 passage of tissue culture cells over time can change
10 the properties of the cell, and that the discussion,
11 if I've been following it correctly anyway, seems to
12 be concentrated on approval or trying to set up tests
13 that could be done to eventually get these cells
14 approved for production of vaccines.

15 But if that approval occurs, is it
16 worthwhile to consider occasional follow-up testing,
17 not perhaps as rigorous as the initial testing, but
18 occasional follow-up testing to insure that the
19 properties of the cells that had been characterized in
20 the beginning are maintained?

21 ACTING CHAIRMAN DAUM: Does someone want
22 to address that? Dr. Golding?

23 DR. GOLDING: Yeah, I think one of the
24 procedures that are in place is to require the sponsor
25 to establish master cell bank at the very early stages

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of passages, and therefore, all of the
2 characterizations that are done on that master cell
3 bank should remain in place, and only this master cell
4 bank is used for future production of new lots rather
5 than the concept of just continue to passage the cell.

6 If a new master cell bank has to be
7 established, then, of course, all of the tests have to
8 be done again.

9 DR. PRIOLA: Well, okay. Then so just as
10 an ignorant question on my part because I don't know
11 much about this, when cells are pulled out for vaccine
12 production, how long would a cell line such as these
13 PER.C6 cells be maintained once it's pulled from the
14 master bank to produce the vaccine?

15 So I'm assuming that it would be for a
16 very limited amount of time.

17 DR. GOLDING: That's correct. You have a
18 master cell bank. Then you have working cell banks
19 which are used for expansion for production of a given
20 lot, and a lot of the testings are done on them as
21 well, and that's the end, and then the next lot has to
22 start again from another --

23 DR. PRIOLA: Thank you.

24 ACTING CHAIRMAN DAUM: Thank you very
25 much.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Dr. Faggett. Did you not speak yet? I'm
2 sorry.

3 DR. FAGGETT: Dr. Kohl is first.

4 ACTING CHAIRMAN DAUM: I apologize. I
5 crossed his name off by accident.

6 DR. KOHL: No respect.

7 (Laughter.)

8 ACTING CHAIRMAN DAUM: On the contrary.

9 DR. KOHL: Thanks, Bob.

10 One of the early things we heard about the
11 PER.C6 cells being described was their construction to
12 avoid recombination, and I've been around long enough
13 to have some skepticism that that's another one of
14 those 100 percent things.

15 We haven't talked about that much. What
16 do the experts feel about how, you know, fail safe
17 these cells are in terms of not being able to
18 recombine?

19 ACTING CHAIRMAN DAUM: Dr. van der Eb?

20 DR. VAN DER EB: You can never exclude
21 formation of RCA in any cell type. There is always
22 the chance that non-homologous recombination takes
23 place. So you can never exclude that. It is only far
24 less likely. That is all I think we can say.

25 ACTING CHAIRMAN DAUM: That makes it a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 simple piece of this puzzle, doesn't it?

2 Dr. Faggett, please.

3 DR. FAGGETT: Thanks, Bob.

4 Back to Dr. Hughes' point about the assays
5 that are going to be available. They mentioned that
6 there's a lot of experimental assays being looked at.
7 What's being done to really accelerate FDA approval of
8 those kind of assays?

9 So that I think that's a critical part of
10 assuring safety issues. That's my additional concern.

11 ACTING CHAIRMAN DAUM: Anyone want to
12 comment from the agency on that?

13 DR. KRAUSE: Yeah, I'm not sure that
14 specific assays that address scientific points require
15 -- maybe I'm saying the wrong thing -- but I don't
16 think they require FDA approval. FDA approves
17 products that are used in diagnostics of people, but
18 if there is an assay which will have value in
19 assessing a cell bank or a cell substrate or a
20 vaccine, that assay can be done without some kind of
21 an independent approval process of that assay.

22 DR. FAGGETT: Well, I think my real
23 question is what is being done to really accelerate
24 availability of those assays. I think I did misspeak.
25 I'm speaking specifically experimentally.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KRAUSE: You know, I think there are
2 several approaches. One of them, of course, is to try
3 and do research at FDA which improves people's
4 familiarity with these kinds of assays so that they
5 understand them and how they behave.

6 Another one is when products come in for
7 discussion, to discuss the possibility of doing these
8 kinds of assays with the manufacturers and the
9 sponsors, and if it appears as though the assays are
10 going to be of some value, to either use some data
11 generated at FDA to encourage them to do it or perhaps
12 to make those kinds of encouragements even without
13 internal data.

14 But if an assay looks as though it's
15 promising and has a real chance to answer a question
16 that is important in a regulatory sense in a
17 reasonable amount of time, then we're not precluded
18 from asking for that to be used.

19 ACTING CHAIRMAN DAUM: Thank you very
20 kindly.

21 I think we may be sort of partied out.

22 (Laughter.)

23 ACTING CHAIRMAN DAUM: I would like to
24 make a couple of comments perhaps before we close.
25 One of them is that I think that judged by the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Committee's comments and consultants' comments this
2 afternoon, that we're generally very impressed with
3 the effort that the agency has made to deal with the
4 issues that are on the slide.

5 There's lots of unknown questions, and
6 there's lots of unknown issues, but one doesn't get
7 the feeling that there's lots of unaddressed issues,
8 and I think the agency is to be commended for taking
9 an aggressive and thorough stance toward beginning to
10 look into this very difficult issue.

11 I think one of the things that we did talk
12 about today several times in addition to lots of fine
13 tuning of efforts underway is the idea of more
14 mathematical modeling and risk assessment, if those
15 are the correct terms to use, Drs. Goldberg and
16 Moulton, to get some sense of what kinds of risk we're
17 talking about as quantified, although as Dr. Kohl
18 correctly points out, we don't want to take them too
19 seriously or put over emphasis on that one approach.

20 I think one of the background issues that
21 was hinted at several times was that the diseases that
22 this research is intended to culminate in and prevent
23 are absolutely devastating and are killing many
24 millions of people all over the world, and that's why
25 at first glance one might throw up their hands and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 say, "Well, look. We just don't want to take any risk
2 and we're going to just stop this until we know
3 everything."

4 But then the disease commands us, I think,
5 to continue to develop this, and I'm drawn to the
6 example of polio in sort of closing, which people in
7 the room know a lot more about than I do, particularly
8 Dr. Katz.

9 But when first vaccines were licensed
10 against polio, there were 50,000 children a year
11 being paralyzed in the United States. And an
12 admittedly imperfect vaccine was put out that itself
13 caused polio, very rarely, and produced a lot level
14 residuum of disease in this country.

15 At that time I think that even if this
16 Committee were today addressing that very issue, that
17 that tradeoff would be worth it. That 50,000 children
18 not being paralyzed every year would be worth an
19 imperfect vaccine that we didn't know everything
20 about.

21 Naturally once the disease was virtually
22 eradicated and there were no cases occurring from wild
23 type virus anymore, then we had a different situation
24 where we had to readdress that very issue.

25 But here we have a disease that is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 epidemic in many parts of the world, and we have
2 vaccines that show some promise in helping to deal
3 with that enormous disease burden, and I'm very
4 impressed with the effort gathered in this room today
5 to begin to quantify and address and conduct research
6 into making those issues as minimal as they possibly
7 can be.

8 Are there any other comments from
9 Committee or consultants?

10 I think the Committee has been wonderfully
11 interactive today and up front with comments. Our
12 consultants have been fantastic in terms of getting
13 issues raised and discussed.

14 Speak now.

15 (No response.)

16 ACTING CHAIRMAN DAUM: We're adjourned.

17 Thank you very much.

18 (Whereupon, at 5:17 p.m., the meeting in
19 the above entitled matter was adjourned.)
20
21
22
23
24
25

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: Vaccines and Related Biological Products
Advisory Committee

Before: DHHS/FDA/PHS/CBER

Date: May 16, 2001

Place: Gaithersburg, MD

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


