

UNITED STATES OF AMERICA  
FOOD AND DRUG ADMINISTRATION  
BLOOD PRODUCTS ADVISORY COMMITTEE

81<sup>ST</sup> MEETING

THURSDAY, OCTOBER 21, 2004

The meeting came to order at 8:00 a.m. in the Ballroom of the Gaithersburg Holiday In,, 2 Montgomery Village Ave, Gaithersburg, MD 20877, James R. Allen, Acting Chairman, Presiding.

Present:

James R. Allen, M.D., M.P.H., Acting Chairman  
Kenneth Davis, Jr. M.D., Member  
Samuel H. Doppelt, M.D., Member  
Harvey G. Klein, M.D., Member  
Judy F. Lew, M.D., Member  
Charlotte Cunningham-Rundles, M.D., Ph.D., Temporary  
Voting Member  
Jonathan C. Goldsmith, M.D., Temporary Voting Member  
Liana Harvath, Ph.D., Temporary Voting Member  
Blaine F. Hollinger, M.D., Temporary Voting Member  
Matthew J. Kuehnert, M.D., Temporary Voting Member  
Kenrad E. Nelson, M.D., Temporary Voting Member  
Keith C. Quirolo, M.D., Temporary Voting Member  
George B. Schreiber, Sc.D., Temporary Voting Member  
Michael D. Strong, Ph.D., Non-voting Industry  
Representative  
Linda A. Smallwood, Ph.D., Executive Secretary

**This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.**

## I-N-D-E-X

Welcome, State of Conflicts of Interests . . . . .	3
Dr. Smallwood and Chairman Allen	
Committee Updates	
Transmissible Spongiform Encephalopathies . . . . .	9
Advisory Committee	
Dr. Asher	
Supplemental Testing for HIV and HCV . . . . .	25
Dr. Ruta	
Open Public Hearing	
Combined Statement on HIV and HCV . . . . .	38
Supplemental Testing from AABB, ABC and ARC	
Dr. Kleinman	
FDA's Current Thinking on Reentry of Donors	
Previously Deferred for Anti-HBc Reactivity	
Introduction and Background . . . . .	45
Dr. Kaplan	
Overview of Serology . . . . .	49
Dr. Hollinger	
Studies of Deferred Donors . . . . .	66
Dr. Stramer	
Open Public Hearing - Manufacturers . . . . .	111
Committee Discussion . . . . .	115
Potential Risk of Transmission of Simian	
Foamy Virus (SFV) by Blood Transfusion	
Introduction and Background	
Dr. Edward Tabor . . . . .	159
Simian Foamy Virus Epidemiology	
Dr. Walid Heneine . . . . .	168
SFV Transmission Studies	
Dr. Arifa Khan . . . . .	195
Recent Research Results	
Dr. James Brooks . . . . .	211
Regulatory Considerations	
Dr. Peter Ganz . . . . .	233
Demographics of Primate Handlers	
Dr. Nicholas Lerker . . . . .	246
Open Public Hearing . . . . .	265
Open Committee Discussion . . . . .	270
Adjourn . . . . .	314

## P-R-O-C-E-E-D-I-N-G-S

8:05 a.m.

DR. SMALLWOOD: On the record. Good morning. Welcome to the 81st meeting of the Blood Products Advisory Committee. I am Linda Smallwood, the Executive Secretary. At this time, I will read the Conflict of Interest Statement that applies to the proceedings for this meeting over two days.

This announcement is part of the public record for the Blood Products Advisory Committee Meeting on October 21, 22, 2004. Pursuant to the authority granted under the Committee charter, the Director of FDA Center for Biologic Evaluation and Research has appointed the following individuals as temporary voting members: Drs. Charlotte Cunningham-Rundles, Jonathan Goldsmith, Liana Harvath, Blaine Hollinger, Matthew Kuehnert, Kenrad Nelson, Keith Quirolo and George Schreiber.

To determine if any conflicts of interests existed, the Agency reviewed the agenda and all relevant financial interests reported by the meeting participants. The Food and Drug Administration has prepared general matter waivers for the special government employees participating in this meeting who required a waiver under Title 18, United States Code

1 208.

2 Because general topics impact on so many  
3 entities, it is not prudent to recite all potential  
4 conflicts of interests as they apply to each member.  
5 FDA acknowledges that there may be potential conflicts  
6 of interests but because of the general nature of the  
7 discussions before the Committee, these potential  
8 conflicts are mitigated.

9 We would like to note for the record that  
10 Dr. Michael Strong is participating in this meeting as  
11 the Non-voting Industry Representative acting on  
12 behalf of regulated industry. Dr. Strong's  
13 appointment is not subject to Title 18, United States  
14 Code 208. He is employed by the Puget-Sound Blood  
15 Center and Program and thus has a financial interest  
16 in his employer.

17 He also is a researcher and a speaker for  
18 a firm that could be effected by the Committee  
19 discussions. In addition in the interest of fairness,  
20 FDA is disclosing that his employer, Puget-Sound Blood  
21 Center has associations with regional hospitals and  
22 medical centers.

23 With regard to FDA's invited guest  
24 speakers, the Agency has determined that the services  
25 of these guest speakers are essential. There are

1 interests that are being made public to allow meeting  
2 participants to objectively evaluate any presentation  
3 and/or comments made by the guest.

4 For the discussions of Topic 1 related to  
5 Reentry of Donors Previously Deferred for Anti-  
6 Hepatitis B Core Reactivity, Dr. Susan Stramer is  
7 employed by the American Red Cross.

8 For the discussions of Topic 2 related to  
9 The Simian Foamy Virus, Drs. James Brooks and Peter  
10 Gantz are both employed by the Biologic and Genetic  
11 Therapies Directorate, Health Products and Food  
12 Branch, Health Canada. Dr. Walid Heneine is employed  
13 by the Division of AIDS Research at the Center for  
14 Disease Control. Dr. Nicholas Lerche is employed by  
15 the California National Primate Research Center,  
16 University of California.

17 For discussions of Topic 3 on Deferral on  
18 Donors with Possible West Nile Virus, Dr. Michael  
19 Busch is employed by the Blood Centers of the Pacific.  
20 He has contracts and is a researcher, speaker and  
21 advisor for firms that could be effected by the  
22 discussions. Dr. Theresa Smith is employed by the  
23 National Center for Infectious Diseases, Center for  
24 Disease Control in Fort Collins, Colorado. Dr. Susan  
25 Stramer is employed by the American Red Cross.

1           In addition, there are regulated industry  
2           and other outside organization speakers making  
3           presentations. These speakers have financial interest  
4           associated with their employer and with other  
5           regulated firms. They were not screened for these  
6           conflicts of interests.

7           FDA members are aware of the need to  
8           exclude themselves from the discussions involving in  
9           specific products or firms for which they have not  
10          been screened for conflicts of interests. Their  
11          exclusion will be noted for the public record.

12          With respect to all other meeting  
13          participants, we ask in the interest of fairness that  
14          you state your name, affiliation and address and any  
15          current or previous financial involvement with any  
16          firm whose products you wish to comment upon. Waivers  
17          are available by written request under the Freedom of  
18          Information Act. At this time if there are any  
19          additional declarations to be made by anyone involved,  
20          please do so.

21          Hearing none, I will move to my next  
22          series of announcements. First, I would like to  
23          announce that Dr. Jay Epstein, the Director of the  
24          Office of Research for Blood, is delayed because of an  
25          accident on his way in. So we will proceed with the

1 meeting. However once he arrives, we will make  
2 adjustments with respect to acknowledgment of our  
3 outgoing members.

4 So at this time, I would just like to make  
5 a few announcements and I will then turn the meeting  
6 over to our chairman. On the table outside, there was  
7 an announcement of a notice of the Second Annual  
8 Stakeholder Meeting on the Implementation of the  
9 Medical Device Userfee and Modernization Act. That  
10 meeting is to take place on November 18, 2004. So if  
11 you would please be advised of that and there is this  
12 copy that is out on the table.

13 Secondly, I would like to give you the  
14 tentative meeting dates for the Blood Products  
15 Advisory Committee for 2005. They are as follows:  
16 March 17 and 18, July 21 and 22, December 1 and 2.  
17 Again these are tentative and you will be advised in  
18 the appropriate fashion.

19 At this time, I would like to introduce to  
20 you the members of the Blood Products Advisory  
21 Committee. As I call your name, would you please  
22 raise your hand. For this meeting, the Acting  
23 Chairman is Dr. James Allen. Seated next to him is  
24 Dr. Liana Harvath, Dr. Kenrad Nelson, Dr. Matthew  
25 Kuehnert, Dr. Keith Quirolo, Dr. Blaine Hollinger, Dr.

1 Jonathan Goldsmith, Dr. George Schreiber, Dr. Michael  
2 Strong, Dr. Judy Lew, Dr. Harvey Klein, Dr. Samuel  
3 Doppelt and Dr. Kenneth Davis. Dr. Allen.

4 ACTING CHAIRMAN ALLEN: Good morning. I  
5 would like to welcome you all to the Blood Products  
6 Advisory Committee Meeting. We have, I think, a very  
7 important agenda before us over the next two days.  
8 We'll start out with some Committee updates. I would  
9 like to remind all of the speakers please that it is  
10 important to keep to the time limits that have been  
11 assigned to you during the presentations.

12 Also I just would like to remind speakers  
13 that you have a diversity of backgrounds of people on  
14 the Committee. I think everybody here is expert.  
15 Together we make a remarkable committee. We do not  
16 all have expertise in all the jargon of each of the  
17 fields that are necessarily being presented. So to  
18 assist in our fully understanding what you're saying,  
19 it would be helpful as you first begin talking about  
20 a topic if you're using jargon or abbreviations or  
21 acronyms and so on, please provide explication for the  
22 Committee about that term.

23 We'll move forward. The first update is  
24 a summary from the meeting last week of the  
25 Transmissible Spongiform Encephalopathies Advisory

1 Committee (TSEAC) to the FDA. Dr. Asher.

2 DR. ASHER: Thank you. Good morning. I'm  
3 going to present my own informal summary of part of  
4 last week's TSE Advisory Committee's meeting. With  
5 the exception of one statement that will be obvious,  
6 the rest of the talk is my own informal summary.  
7 There's a formal summary out on the table kindly  
8 prepared by Bill Freas, the Executive of the  
9 Committee. And within two weeks, we should have  
10 posted on the web the transcripts, all the Government  
11 presentation slide sets and most of the non-government  
12 presentation sets for your use.

13 The Committee heard five informational  
14 presentations that I won't summarize and then the  
15 sixth issues was decisional and that is one of  
16 periodic reviews of our FDA/CJD, vCJD Blood Safety  
17 Policy. The Committee was asked to reappraise the  
18 adequacy of current policies. We began with a history  
19 of FDA actions, then the recent events of concern  
20 which I'll go over in just a minute and then we had a  
21 full scientific program addressing the blood policies  
22 and then questions for the Committee. Next please.

23 The Committee, the formal charge was to  
24 provide advice on whether recent information regarding  
25 Variant Creutzfeld-Jakob Disease (vCJD) warrants

1 consideration of additional safeguards for FDA  
2 regulated human blood and blood products. Next  
3 please.

4 Actually the history of FDA policy goes  
5 back to 1983 which was the first time that the FDA  
6 announced policy based on the assumption that human  
7 blood was likely to be similar to animal blood in that  
8 it would be infectious during both the incubation  
9 period and clinical disease of Spongiform  
10 Encephalopathies.

11 The first geographic based deferral policy  
12 was recommended in 1999, deferral of donors who had  
13 spent six months in the United Kingdom between the  
14 beginning of 1980, the presumptive start of the BSE  
15 outbreak there and then end of the 1996 when the U.K.  
16 had implemented a full set of strict food chain  
17 protections. Current policy was announced in January  
18 of 2002.

19 In December of 2003, U.K. authorities  
20 reported the first case of vCJD occurring in a small  
21 cohort of recipients of labile blood components, one  
22 of the 50 such recipients in only 15 to survive  
23 underlying disease for more than three years. In July  
24 of this year, the United Kingdom reported a second  
25 transfusion associated case.

1 In the same month from the U.K. came a  
2 survey of appendicle tissues from an anonymous  
3 operating room specimens and it found this as I'll  
4 show you in a few minutes a surprising high number of  
5 those tissues contained abnormal protease resistant  
6 prion protein thought to be a finding years before the  
7 onset of neurological disease.

8 Then finally, an issue of concern to many  
9 people in September of this year, the United Kingdom  
10 authorities notified certain recipients of plasma  
11 derivatives that they were at increased risk for  
12 variant Creutzfeld-Jakob disease.

13 In conjunction with that although referred  
14 to indirectly at the TSE Advisory Committee, I'd like  
15 to read to this Committee a statement from CBER.  
16 "U.K. authorities recently notified some recipients of  
17 plasma derivatives that they might be at increased  
18 risk of vCJD. These products included Coagulation  
19 Factors 8, 9 and 11 as well as Anti-Thrombin 3 and  
20 intravenous immunoglobulins. The derivatives of  
21 concern were manufactured from plasma of U.K. donors  
22 between 1980 and late in 1999 when consistent with a  
23 decision announced in 1998, U.K. manufacturers stopped  
24 using U.K. plasma. The last expiry date for any of  
25 the U.K. products was in 2001.

1           Some Factor 11 made from U.K. plasma was  
2           used between 1989 and 1997 to treat a relatively small  
3           number of patients participating in several  
4           investigational new drug studies in the United States.  
5           No Factor 11 product used in the United States was  
6           manufactured from a pool containing plasma from any  
7           donor known to have become ill with Variant  
8           Creutzfeld-Jakob Disease. That is there were no known  
9           implicated lots. The FDA and CDC are discussing the  
10          Factor 11 importations and assessing the risk to  
11          recipients. This assessment will be the basis for any  
12          further recommendations."

13                    I think it's fair to say that this issue  
14                    which was not on the agenda of the TSE Advisory  
15                    Committee is of sufficient significance that one can  
16                    expect that it will be addressed more fully in  
17                    subsequent meetings of the TSE Advisory Committee.  
18                    Next slide please.

19                    I summarize in the handout current U.S.  
20                    blood donor policies and there are policies regarding  
21                    both donor at increased risk for conventional forms of  
22                    Creutzfeld-Jakob Disease and Variant Creutzfeld-Jakob  
23                    Disease. Next slide please and move on to the next  
24                    slide.

25                    There's a greater concern about Variant

1 Creutzfeld-Jakob Disease because it's so very  
2 different from other forms of Creutzfeld-Jakob  
3 Disease, both in its neuro-pathology and in other  
4 pathology. There are accumulations of abnormal prion  
5 protein in lymphoid tissues that are not seen in other  
6 forms of Creutzfeld-Jakob Disease. Next slide please.

7 For that reason, we were concerned that  
8 because of this unusual involvement of lymphoid  
9 tissues there was a greater likelihood that there  
10 might be infectivity in blood. In a general way  
11 because the disease was so different in clinical  
12 presentation and in pathology, there was uncertainty  
13 about how predictive the relatively reassuring  
14 epidemiological information that suggested that if  
15 actual transmissions of conventional CJD had occurred  
16 through blood, we wondered whether we could rely on  
17 that evidence to predict the behavior of vCJD. For  
18 that reason, more strict policies were recommended.  
19 Then of course, when the U.K. authorities announced  
20 their own lack of confidence serum of U.K. origin, it  
21 had to increase our own concern. Next slide please.

22 There is some good news. Throughout the  
23 world, the 23 known BSE countries, the recognized  
24 cases of the disease are decreasing in most of those  
25 countries with the exception of Spain and the

1 possibility of one other country. Next slide please.

2 In the U.K. where the disease peaked at  
3 tens of thousands of cases in cattle, in 1992 only 600  
4 cases were recognized last year. Also good news, over  
5 80,000 cows in risk groups have been tested since June  
6 by the U.S. Department of Agriculture and although the  
7 surveyance program may not be perfect, it has to be  
8 reassuring that not a single true positive brain has  
9 been detected in that survey nor have any brains been  
10 detected in a smaller survey conducted in Canada.  
11 Next slide please.

12 The number of cases of vCJD worldwide is  
13 smaller than some had feared at the beginning of the  
14 outbreak. One hundred and sixty cases have been  
15 recognized as of earlier this month. One hundred and  
16 forty-nine of them have been in the U.K. and three in  
17 long time residents who moved to other countries.  
18 However, seven cases in France and one case in Italy  
19 occurred in people who had never visited the United  
20 Kingdom. Next slide please.

21 There is also good news from the United  
22 Kingdom in that new cases of vCJD appeared to have  
23 peaked in 1999 and deaths in 2000. Next slide please.

24 The cases of vCJD in U.K. residents have  
25 permitted some projection about what the minimum

1 incubation period of the disease might be. A minimum  
2 of nine to 11 years incubation is concluded from the  
3 U.S. and Canadian case.

4 The Irish case had a history that traveled  
5 back and forth. So it's hard to draw any conclusion  
6 from that case. The blood borne cases that I'm going  
7 to present now suggest that there's an incubation  
8 period of six years in one case and greater than five  
9 years in a second case who had not developed  
10 symptomatic disease. Next slide please.

11 Not such good news is a finding of an  
12 appendiceal survey that was published in July of this  
13 year. This is based on the recognition at autopsy  
14 that most patient with vCJD had detectable prion  
15 protein in lymphoid tissue and then fortuitously, two  
16 of the cases had had operations on tonsils and  
17 appendix done several years before they died which  
18 demonstrated that the abnormal protein was detectable  
19 in tonsils and appendix for at least two years before  
20 death, but not ten years before death.

21 That was the basis of a survey of normal  
22 tonsils and appendix that I just referred to. The  
23 tonsillar survey didn't turn up anything, but the  
24 appendix based survey found three positive appendices  
25 out of 12,674 adequate specimens which predicted a

1 probable rate in the population of more than 100. Two  
2 hundred thirty-seven was their actual predication  
3 cases of incubating vCJD per million population which  
4 is somewhat discordant from the mathematically-based  
5 projections, but it certainly is of concern and shows  
6 you the uncertainty surrounding the whole situation.  
7 Next slide please.

8 As most of you know, the first probable  
9 transfusion transmitted case of vCJD in the U.K. was  
10 recognized last year. A clinically healthy blood  
11 donor became ill with vCJD three years after the  
12 donation and a recipient became demented and died with  
13 vCJD three years after that. Next slide please.

14 The second case was reported in July of  
15 this year. The donor became ill 18 months after  
16 donating whole blood in 1999. Both these recipients  
17 by the way received non-leukoreduced red blood cell  
18 concentrates. The recipient died of a ruptured aortic  
19 aneurism without any history of dementia. It's  
20 interesting that the tonsils and appendix of that  
21 recipient were normal but abnormal prion protein was  
22 present in several areas of the spleen and in cervical  
23 lymph node. There was really very little doubt that  
24 the recipient was incubating Creutzfeld-Jakob Disease  
25 and judging from the behavior of these infections in

1 animals, one would think that within a couple of years  
2 the patient would probably have come down, the  
3 infection would have entered the central nervous  
4 system, the patient would have come down with  
5 Creutzfeld-Jakob Disease.

6 One unfortunate interesting observation of  
7 this patient, this is the first patient studied found  
8 to be heterozygous for methionine and valine at Codon  
9 129 of the prion protein and coding gene. The  
10 heterozygous genotype is known to be somewhat but not  
11 completely protective against other forms of  
12 Creutzfeld-Jakob Disease, Sporadic Creutzfeld-Jakob  
13 Disease, Iatrogenic Creutzfeld-Jakob Disease and some  
14 had hoped that it would be completely protective  
15 against Variant Creutzfeld-Jakob Disease. Clearly,  
16 that is not the case. Next slide please.

17 So the implications for public health of  
18 these findings I've listed here. CJD was transmitted  
19 by transfusion. I think the only logical, the chances  
20 that these are two fortuitous dietary acquired cases  
21 occurring in a cohort of 15 people who survived for  
22 more than three years is less than one in a billion.  
23 So I think the only logical conclusion is that these  
24 were transfusion transmitted cases. Although there's  
25 nothing like genotyping that one can do to establish

1 the connection at a molecular level.

2 The prion protein, methioline and valine  
3 129 genotype did not convey absolute resistance to  
4 infection at least after adaptation to humans and  
5 intravenous exposure. A second wave of vCJD cases in  
6 heterozygous individuals is possible, possibly smaller  
7 than the first wave and of unknown magnitude. That's  
8 because 50 percent of the U.K. population is  
9 heterozygous for that gene, for methioline and valine  
10 at that gene.

11 A recent survey of prion protein in  
12 appendix predicted a rate of 237 infected people per  
13 million in the U.K. That has to be considered a  
14 minimum rate if it's confirmed. A number of persons  
15 in the U.K. and other BSE countries potentially have  
16 vCJD in their blood and that can be present for at  
17 least three years prior to the onset of clinical  
18 disease. For that reason, we continue to believe that  
19 BSE geographic-based blood donor deferral policies  
20 have been prudent and remain justifiable. Next slide  
21 please.

22 We put to the Committee no specific  
23 options although Peter Gantz who I think will be here  
24 this afternoon laid out the three options that Canada  
25 is addressing, one of which is through keep current

1 policies and the other two we'll discuss now. There  
2 are really only a limited number of ways in which risk  
3 can be reduced further.

4 The policies are based on reducing the  
5 risk that a donor has been exposed to the BSE agent  
6 either in food or through pharmaceuticals. We've had  
7 to take residence in a BSE country as a surrogate for  
8 food exposure because dietary histories are considered  
9 quite unreliable.

10 The only other exposure of concern has  
11 been bovine insulin. That's already part of the  
12 deferral policy. There's no comparable bovine product  
13 that was made either in the U.K. or in any other BSE  
14 country that we're aware of. So that one approach  
15 would be to reduce the time that an acceptable donor  
16 might have spent in a BSE country or to add new lower  
17 risk BSE countries to the list of countries for which  
18 there is deferral.

19 The second strategy would be to reduce the  
20 risk that the donor had been exposed to vCJD agent  
21 from a human exposure and in 2002, we recommended  
22 deferral for anyone who had been transfused in the  
23 United Kingdom after 1980. One might consider  
24 deferrals for transfusions received in other  
25 countries. The Committee itself suggested that we

1 might consider similar deferrals for people who had  
2 surgery in BSE country although that remains a  
3 theoretical risk whereas the transfusion transmission  
4 is now a demonstrated risk. Next slide please.

5 To help the Committee in their  
6 deliberations, there were a number of very useful  
7 talks. Robert Will summarized the situation that I  
8 just summarized for you. Steve Anderson compared the  
9 risk of classic and vCJD. Peter Page, I think, is here  
10 this morning presented the American Red Cross Lookback  
11 Study of recipients of labile components here in the  
12 United States, one hundred and sixteen recipients  
13 living more than five years without a single case of  
14 Creutzfeld-Jakob Disease.

15 Steve Anderson did a Fisher Exact Test and  
16 it's a highly significant difference. The  
17 pathogenesis of the two diseases in regard to their  
18 transmissibility by blood appears to significantly  
19 different. Louisa Gregori from Bob Roars' lab in  
20 Baltimore presented very interesting results of their  
21 studies with one leukoreduction filter and found that  
22 although about 40 percent of hamster blood infectivity  
23 was removed by the filter about 60 percent of it  
24 remained in the plasma.

25 The good news is that although there has

1        been some fear that filtration might fragment cells  
2        and release infectivity into plasma, that didn't seem  
3        to happen. The other good news is that there is still  
4        no evidence to suggest that there is intrinsic  
5        infectivity of either red cells or platelets. The  
6        infectivity found there appears to be attributable to  
7        contamination with plasma which suggests the  
8        possibility of technical solutions to reduce the risk  
9        more.

10                Peter Gantz presented recent Canadian  
11        policy actions and discussed possible future actions.  
12        I've mentioned those. Dob Scott presented the summary  
13        of the current policies and then Alan Williams  
14        addressed what it would do in reducing risk and what  
15        the cost might be if additional policies or  
16        enhancement to the current policies were adopted.  
17        Next slide please.

18                This is a crude reduction of some of the  
19        information that Alan presented. First, let me remind  
20        you that the deferral policies are risk reduction  
21        policies. It's not possible to eliminate all risk by  
22        deferral policies for the following reason.

23                If we attempted to defer any donor who had  
24        ever been in a BSE country after the beginning of  
25        1980, we found the following projections. If we

1 attempted to defer anybody who had been to the United  
2 Kingdom, 23 percent of current blood donors would be  
3 deferred. If we tried to defer anybody who had been  
4 to any one of the 23 BSE countries, well 22 BSE  
5 countries, we won't even mention Canada, 36 percent of  
6 current donors would be deferred. It's simply not  
7 within the realm of the feasible.

8 Now let's look at what enhancing current  
9 policies might be predicted to do and these are very  
10 rough estimates based on certain assumptions. If we  
11 attempted to reduce the acceptable time, the time that  
12 a suitable donor might have spent in the U.K. during  
13 the time period mentioned from three months to one  
14 month, we would expect to reduce the risk by an  
15 additional four percent over the current 91 percent  
16 total estimated risk reduction achieved by the  
17 deferral policy and a cost in donors of about three  
18 percent which is a very large increase in the number  
19 of deferred donors.

20 If we deferred for transfusion in France,  
21 the amount of risk reduction is not quantifiable.  
22 But it would be very small. However the loss of  
23 donors would also be very small. Alan estimated about  
24 a loss of about 1.4 donors per 10,000 and for history  
25 of transfusion in Western Europe including France

1 outside the U.K., also an uncertain very small  
2 reduction in risk but at a cost of only a total of  
3 three donors lost per total of 10,000. Next slide  
4 please.

5 So we put the three questions to the  
6 Committee. Are the measures currently recommended by  
7 FDA to reduce the risk of transmitting CJD and vCJD by  
8 blood products still justified? Do the recent  
9 scientific data on vCJD warrant consideration by FDA  
10 of any additional potentially risk reducing measures  
11 for blood and blood products? If so, comment on the  
12 additional risk reducing measures that FDA should  
13 consider at this time? Next slide please.

14 The Committee voted unanimously, 14 to  
15 zero, that the current measures remain justified.  
16 However, they voted 13 to 1 that the recent new  
17 scientific data do not warrant consideration of any  
18 additional potentially risk reducing measures for  
19 blood and blood products. The one holdout felt that  
20 we really needed more information about the seven  
21 European cases whether they might have had blood  
22 exposure and clearly, that member felt uncomfortable  
23 about not deferring donors transfused in non-U.K. BSE  
24 countries. After the vote, that concern seemed to be  
25 met with some sympathy by other members of the

1 Committee as well, I must say, by FDA staff. Thank  
2 you very much. I don't know if there's time for  
3 questions, but if there is, I would be happy to answer  
4 any that I can.

5 ACTING CHAIRMAN ALLEN: Thank you for that  
6 very complete summary. I will comment with regard to  
7 Question No. 2. I think everybody on the Committee  
8 who voted no did so with the understanding that they  
9 did not believe there was sufficient data or  
10 information available at the present time to warrant  
11 consideration of specific measures, but clearly there  
12 was an expectation that the FDA would continue to  
13 monitor the situation as would be blood collection  
14 centers and transfusion medicine specialists and that  
15 as new information became available, the FDA would  
16 bring it to the Committee for consideration. Other  
17 questions or comments?

18 DR. ASHER: I might say in regard to that.  
19 We are committed to reevaluating the situation every  
20 six months regardless and bringing the issue to, and  
21 of course we watch it all the time, the Committee  
22 whenever new information as it did in this meeting  
23 warrant formal consideration.

24 DR. HOLLINGER: Dr. Asher, did you say  
25 that they are deferring persons who have had

1 transfusions after 1980 from the U.K.? I'm not sure  
2 I understood that. In the U.K. but not here.

3 DR. ASHER: No, people who have received  
4 any transfusion in the United Kingdom after 1980 to  
5 the present deferral of such people is currently  
6 recommended.

7 DR. HOLLINGER: In this country.

8 DR. ASHER: In this country.

9 DR. HOLLINGER: As policy.

10 DR. ASHER: As policy recommendation.

11 ACTING CHAIRMAN ALLEN: Okay. Thank you  
12 very much. We'll move on to our second committee  
13 update which is a statement on Supplemental Testing  
14 for HIV and HCV. Dr. Ruta.

15 DR. RUTA: Good morning, Dr. Allen,  
16 Members of the Committee. Thanks for the opportunity  
17 to update you on HIV and HCV Supplemental Testing.  
18 I'm Martin Ruta. I work in the Office of Blood and if  
19 you go to the next slide.

20 I wanted to update the Committee on  
21 supplemental testing and I hope it's helpful, but I  
22 wanted to step back a bit and talk about the testing  
23 schemes and this is FDA's current policy  
24 considerations on donor screening for example for HCV.  
25 So one way in which one can view the testing scheme is

1 for blood establishment and the requirement for  
2 testing falls with the blood establishment. It's a  
3 test donation with a license owner screening test that  
4 detects the antibodies of HCV. Then hopefully, all  
5 those donations are negative.

6 As the committee remembers over the past  
7 ten years, we've encouraged the development of NAT to  
8 capture window period cases. So if the donation is  
9 negative, one can view it as sequentially although in  
10 blood establishments, it occurs contemporaneously.  
11 And one goes on and runs the NAT test and that  
12 captures the window period units. So hopefully both  
13 tests are nonreactive and donation is used.

14 Now if one runs the HCV antibody test and  
15 the test is reactive, then one can go straight to  
16 perform the HCV supplement test. In fact, that's what  
17 occurs in the source plasma setting for applicant  
18 donors. So I wanted first to have the Committee  
19 understand that there are different practices that  
20 occur in the blood-for-transfusion setting with regard  
21 to NAT testing versus the source plasma setting. In  
22 the source plasma setting at least for the applicant  
23 donors, if the donation is reactive on the HCV test,  
24 they go straight to the supplemental test. So we can  
25 go to the next slide.

**S A G CORP.**  
Washington, D.C.

202/797-2525

Fax: 202/797-2525

1           The next slide says essentially the same  
2           thing, but it's now for HIV. So as the Committee  
3           remembers, we put a rule into place that says blood  
4           establishments have to test for HIV and HCV, they have  
5           to use one or more donation as needed to ensure the  
6           blood is safe, but we've currently recommended that  
7           donations be tested for antibodies to HIV and we have  
8           a draft guidance document that's recommended that  
9           donations also be tested for NAT.

10           And again if one runs through this scheme,  
11           if one tests the donation using the test to detect  
12           antibodies to HIV and that's negative, then one goes  
13           on to the licensed NAT test and that's intended to  
14           capture the window period units. All right. That's  
15           what pretty much happens in the volunteer blood-for-  
16           transfusion setting. In fact, my understanding is  
17           that they are both run essentially contemporaneously.

18           In the source plasma setting again for at  
19           least the applicant donors and these are the first  
20           time donors or people who have not donated in six  
21           months, that the HIV antibody test is run and if it's  
22           reactive, they go straight to the supplemental test.  
23           Now as I mentioned, we've issued a draft guidance  
24           recommending the use of NAT and it's my understanding  
25           that the final guidance will be coming out very, very

1 soon and possibly may be posted today on our website.  
2 So I would encourage you to start looking for it.

3 Okay. I hope that's helpful to try and  
4 explain that there may be different testing schemes  
5 that occur within the blood collection setting. Now  
6 I want to move on to supplemental testing and this was  
7 an issue that we brought to the Committee last March.  
8 We asked for the Committee's advice on supplemental  
9 testing.

10 So the current requirements that are in  
11 the Reg are up here and I won't read it to you except  
12 to say that what we require is that if a donation test  
13 reactive on one of the screening of the test, that the  
14 blood establishment must go on to further test the  
15 donation with the license supplemental test if such a  
16 test has been approved.

17 So the reason that we put in supplemental  
18 testing requirements into the Regs is first to clarify  
19 for the donor their status whether they are really  
20 infected or not. It also places a role in donor  
21 reentry. As part of their report that the GAO wrote  
22 in 1998, they were finding that not everyone was doing  
23 supplemental testing and in fact, that there was  
24 inconsistency in notification messages and not  
25 everyone was being notified. So we put in

1 requirements of both, that supplemental tests be  
2 performed and that the donors be notified when they  
3 are deferred and of their test results. The next  
4 slide and the next slide.

5 All right. So we brought the issue of  
6 supplemental testing to the Committee last March for  
7 your consideration and we thank you for your  
8 thoughtful discussion. Actually we tried to bring it  
9 a year ago September, but we were rained out and we  
10 had the discussion last March on supplemental testing  
11 for HIV and HCV. At that point, the Committee, I  
12 think what you advised us to do is review the existing  
13 algorithms and to look at additional data.

14 What we've done since then is to establish  
15 a public health service working group to try and look  
16 at all the data that was collected and try and make  
17 some sense out of it. I think where we were last  
18 March was that we saw presentations from several  
19 people which showed a correlation between EIA reactive  
20 samples that were also NAT positive showing that these  
21 were truly infected individuals.

22 A number of the members of the Committee  
23 thought that if both the EIA and the NAT were positive  
24 that in fact the license supplemental test would not  
25 be needed. But the data that we saw last March or the

1 Committee saw last March primarily involved only one  
2 of the license NAT tests and in fact there are three  
3 FDA approved NAT tests and they are in order of  
4 approval, the National Genetics Institute UltraQual  
5 HIV I and HCV Test, the Procleix HIV I/HCV Multiplex  
6 test and the Roche COBAS HIV I and HCV test.

7 So we established a PHS working group. If  
8 I can go to the next slide. We tried to address a  
9 number of scientific questions and these are limited  
10 to the blood bank setting. We started off with what  
11 we were hoping would be the simple questions and that  
12 is if donation is reactive/positive on a license HCV  
13 NAT on a single donation and the same donation is also  
14 HCV antibody reactive, can those results be used to  
15 confirm infection in lieu of the HCV supplemental  
16 test? The answer that we came up with was yes, you  
17 could.

18 We asked a similar question for the HIV  
19 testing and the reactive/positive results of a license  
20 HIV NAT performed on a single donation that is also  
21 HIV antibody reactive. We used to confirm infection  
22 in lieu of the HIV supplemental test and again the  
23 answer we came up with was yes, it could. So let me  
24 explain here for, I said, reactive or positive  
25 results. In the case of the multiplex test, we

1 actually mean that it's discriminatory reactive. It's  
2 reactive with a specific primary for either HIV I or  
3 HCV. Okay. If I can move onto the next slide.

4 I've told you the answer already but where  
5 the Committee came out and where the working group is  
6 that if the donation is reactive on the HCV EIA  
7 screening test to detect antibodies of HCV and the  
8 donation is reactive or positive on an individual  
9 sample using a license HCV NAT meaning discriminatory  
10 reactive for the multiplex test, then as a scientific  
11 matter, the license HCV supplemental test would not be  
12 needed to confirm infection. This is a scientific  
13 statement and as a regulatory requirement, the  
14 supplemental test is still required and I'll get to  
15 that at the end.

16 So moving on to the next slide, we come to  
17 this, and I've given you the answer for this already  
18 for HIV. For HIV supplemental testing where both the  
19 EIA is repeatedly reactive and the NAT is positive,  
20 we've said that as a scientific matter, the license  
21 HIV supplemental test would not be needed to confirm  
22 infection. All right.

23 So now we deal with the more complicated  
24 issues in the next slides and that is what happens  
25 when the tests don't agree. I think this is sort of

1 where the Committee was left to consider some of the  
2 data that was presented and wasn't quite so sure.  
3 Where we ended up that if a donation is reactive on a  
4 license HCV EIA donor screening test to detect  
5 antibodies for HCV and the donation is nonreactive or  
6 negative using an appropriate license HCV NAT,  
7 negative or nondiscriminated on an individual sample,  
8 then as a regulatory requirement, the license HCV  
9 supplemental test would still be needed to provide  
10 information about the donor's infection status. So  
11 these are the ones that are EIA reactive NAT negative.  
12 So the RIBA is still needed.

13 If we go onto the similar consideration  
14 for HIV in the next slide, again if the donation is  
15 reactive on the license HIV donor screening test to  
16 detect antibodies to HIV and the donation is not  
17 reactive or negative on the appropriate license HIV  
18 NAT or not discriminated on the individual sample,  
19 then as a regulatory requirement the license HIV  
20 supplemental test would still be needed to provide  
21 information about the infection status of the donor.

22 Let me correct what I said before. Here's  
23 where there was a bit of a debate about the science  
24 involving alternative schemes to try and resolve HIV  
25 infections. So we recognize that there's still a

1 scientific debate involving the use of alternative  
2 tests to resolve HIV status.

3 And if I could go to the next slide. So  
4 what have we done? We actually have received a  
5 variance request from a blood establishment and we've  
6 issued a variance under 641.20 to allow a blood  
7 standard to not perform the HCV supplemental test  
8 which is a required test under 610.40(e) our testing  
9 requirements when the donation was reactive on a  
10 license HCV EIA for antibodies and also was positive  
11 on the license HCV NAT on an individual donation. If  
12 I can go on to the next slide.

13 There are possible other courses that we  
14 could use to address this issue. One would be we  
15 could consider whether to relabel the HIV and HCV NAT  
16 test, the supplement test, when the NAT is positive.  
17 That would require manufacturers actually to come in  
18 and seek such changes and we would entertain those  
19 requests. In addition, we may need to relook at the  
20 regulations requiring supplemental testing and  
21 consider changes to those in the future.

22 Finally, well, almost finally, other  
23 issues. Okay. So now we deal with the more  
24 complicated issues that I think the Committee was  
25 pondering last March. If you remember there were a

1 number of data sets presented and I think what the  
2 Committee was struggling with is that there were  
3 discordant results on the same sample. So we had  
4 donations that were EIA reactive NAT negative or  
5 positive on the Western blot but then had been tested  
6 on other EIAs and were discordant on some of the EIAs.  
7 The Committee was sort of pondering what does this  
8 mean and how do we sort this out.

9           Where I think a step further into the  
10 datasets and at this point, we have a lot of  
11 questions, just to give you an idea for the type of  
12 things that we are looking is some of the discordants  
13 actually had very high signal to the cutoffs and were  
14 negative on one EIA and positive on another and we had  
15 some questions about those. There's been a limited  
16 amount of retesting and there may be some testing  
17 issues with those particular samples.

18           There were additional samples that I was  
19 hoping that our laboratories could obtain and test for  
20 us that have not been retested yet. We run into a  
21 phenomenon of the Federal Government where we came to  
22 the end of the fiscal year and basically they told me  
23 we didn't have any money. So I'm hoping now with the  
24 continuing resolution that our laboratories will be  
25 able to do some of these studies that I was hoping

1 that they could do.

2 I think the, I see my time is signaling.  
3 So I'll try and wrap up quickly. There are a number  
4 of other questions that we're facing with the datasets  
5 that were presented and that is how do you know, a  
6 number of the presenters were suggesting that the  
7 blots were actually false/positive blots and that some  
8 were actually real infections.

9 One of the first questions that we asked  
10 was how do you know which ones are real and which ones  
11 are not real. We've asked for additional data to sort  
12 out which ones are real and which ones are not real.  
13 We're waiting for some of those datasets to arrive.

14 Some of the other issues that we are  
15 struggling with are minor things like there were band  
16 patterns that were presented which included molecular  
17 weights that are not described in any of the current  
18 inserts so I presume were errors in transcription.  
19 We're dealing with issues that the datasets again  
20 involved only one of the NAT tests and only involved  
21 the whole blood sector.

22 We actually didn't see any data from the  
23 source plasma sector and whether we would need these  
24 additional datasets. So we're wading deeper into the  
25 data now and in an interactive dialogue with the

1 presenters and trying to figure out what data is  
2 needed. Finally, I'd like to thank the members of the  
3 PHS working group who are up here on the slide. So  
4 I'll stop and see if there are any questions from the  
5 Committee.

6 ACTING CHAIRMAN ALLEN: Thank you, Dr.  
7 Any comments or questions? Yes, Dr. Lew.

8 DR. LEW: I just wanted to know what  
9 percentage of patients who are donors have actually  
10 been EIA Western blot positive but NAT negative.

11 DR. RUTA: Right. Well, this would come  
12 from the datasets that were presented and I think in  
13 those cases that datasets that we saw ran around five  
14 percent of the donors were Blot positive, EIA Blot  
15 reactive positive but NAT negative. Now some of those  
16 were said to be real infections and other were  
17 asserted to be not real infections. So we're trying  
18 to sort through those.

19 ACTING CHAIRMAN ALLEN: Other comments or  
20 questions? This is obviously a very important area  
21 and we look forward to further discussion about that  
22 in learning how the FDA intends to resolve it. We  
23 will actually deviate a little bit from our published  
24 agenda at this time and move to an open hearing if you  
25 will and allow Dr. Kleinman to make a combined

1 statement on HIV and HCV supplemental testing. This  
2 is a combined statement from the AABB, ABC and ARC.  
3 Yes, if you would like to come up here, that would be  
4 fine.

5 Now I need to read a statement first  
6 because this is an open public hearing. So bear with  
7 me. Open Public Hearing Announcement for General  
8 Matters Meetings. Both the Food and Drug  
9 Administration and the public believe in a transparent  
10 process for information gathering and decision-making.  
11 To ensure such transparency, at the open public  
12 hearing session of the Advisory Committee meeting, FDA  
13 believes that it is important to understand the  
14 context of an individual's presentation.

15 For this reason, FDA encourages you the  
16 open public hearing speaker at the beginning of your  
17 written or oral statement to advise the Committee of  
18 any financial relationship that you may have with any  
19 company or any group that is likely to be impacted by  
20 the topic of this meeting. For example, the financial  
21 information may include the company's or group's  
22 payment of your travel, lodging or other expenses in  
23 connection with your attendance at the meeting.

24 Likewise, FDA encourages you at the  
25 beginning of your statement to advise the Committee if

1 you do not have any such financial relationships. If  
2 you chose to not to address this issue of financial  
3 relationships at the beginning of your statement, it  
4 will not preclude you from speaking. Dr. Kleinman.

5 DR. KLEINMAN: Good morning. I'm Dr.  
6 Steven Kleinman, Chair of the AABB Transfusion  
7 Transmitted Disease Committee. With regard to  
8 conflict of interest, I do have and have had some  
9 consulting arrangements with companies that  
10 manufacture NAT assays.

11 I'm reading this statement today. It's a  
12 joint statement endorsed by AABB, American Bloods  
13 Centers and American Red Cross. Our statement today  
14 is similar to that presented to the Committee in March  
15 2004 when supplemental testing for HIV and HCV was  
16 last discussed. The purpose of today's statement is  
17 to emphasize the importance of this issue and the  
18 urgency to make rapid progress especially with regard  
19 to HIV.

20 AABB, ABC and ARC strongly endorse the  
21 revision of supplemental testing algorithms for donors  
22 testing EIA, Repeat Reactive for HIV and HCV antibody  
23 as previously presented to the Committee during the  
24 March 18 meeting. These algorithms were subsequently  
25 summarized in a letter from AABB to Dr. Epstein on

1 August 10 of this year.

2 We acknowledge the FDA for moving forward  
3 with the integration of nucleic acid tests into  
4 supplemental testing algorithms. The extensive amount  
5 of data presented at the March BPAC meeting clearly  
6 established the scientific validity of using reactive  
7 NAT to determine the existence of HIV I or HCV  
8 infection in EIA repeat reactive donors. In such  
9 circumstances, HIV I Western Blot and HCV RIBA add no  
10 useful information to the evaluation of the donor's  
11 status.

12 So this is good that we heard today that  
13 FDA and the PHS Committee are scientifically in  
14 support of this. However the inclusion of NAT in the  
15 HIV supplemental testing algorithm will not prevent  
16 the classification of many donors as HIV Western Blot  
17 indeterminant since only three percent of HIV I/II  
18 repeat reactive donor samples are positive leaving 97  
19 percent of such specimens to be tested by Western  
20 Blot. In cases with non-reactive HIV I NAT and  
21 alternate HIV I/II negative alternative HIV I/II EIA  
22 results, the data indicate that the Western Blot has  
23 no usefulness.

24 It's not surprising that in alternate HIV  
25 I/II EIA is superior to a Western Blot for

1 confirmation of HIV I infection. This is a direct  
2 consequence of the continued improvements in the  
3 sensitivity of HIV I/II EIAs.

4 In contrast, no similar improvements have  
5 occurred since the first use in the licensure of HIV  
6 Western Blot. We now and have for some time been in  
7 the paradoxical situation in which the Western Blot  
8 originally licensed as the HIV I supplemental assay is  
9 less sensitive than is screening EIAs and is  
10 certainly the least specific test used in the blood  
11 donor setting.

12 While the scientific validity of using NAT  
13 or an alternate EIA in supplementing testing  
14 algorithms is a necessary prerequisite for making a  
15 change, there is a much more compelling reasons for  
16 such a revision. Indeterminant test results create  
17 confusion and anxiety for the donor. This is well  
18 documented by REV's investigators who surveyed donors  
19 about their perception of and reaction to the  
20 notification process.

21 Responses were received from 203 donors  
22 with indeterminant results for HIV antibody or P-24  
23 antigen, HCV and HTLV. These data published in  
24 "Transfusion" and presented at the March BPAC indicate  
25 that the vast majority of such donors were both upset

1 and confused when initially notified of their test  
2 results and remained upset and confused six to 12  
3 months later. This is not surprising when donor have  
4 been told based on their indeterminant Western Blot  
5 results that there is some possibility that they are  
6 infected with HIV I.

7           Unfortunately, such notifications are not  
8 confined to only a handful of donors. According to  
9 American Red Cross data, approximately half of all HIV  
10 EIA repeat reactive donors have an indeterminant  
11 Western Blot result. When the ARC data are projected  
12 nationally, we estimate that over 5,000 donors receive  
13 this message annually in the U.S.

14           This translates to anxious donors  
15 contacting blood centers each day confused and  
16 frustrated about their HIV indeterminant result or  
17 occasionally and even worse, their false positive HIV  
18 Western Blot results. This situation has not changed  
19 since 1987 so we've been living with this now for 17  
20 years.

21           Instructions for carrying out the HIV I/II  
22 EIA screening assay state, it is recommended that  
23 repeatedly reactive specimens be investigated by an  
24 additional more specific or supplemental test. Since  
25 the majority of donors with indeterminant Blot results

1 are not infected with HIV I, it is apparent that the  
2 Western Blot assay is not achieving the enhanced  
3 specificity expected of a supplemental assay.

4           Until recently, this situation was a  
5 necessary but unfortunate outcome of the notification  
6 process given that there were no alternate means of  
7 assessing the donor's infection status. However, such  
8 disservice to the donor community cannot be justified  
9 when we have the tools available to do better.  
10 Testing technology has advanced to the point where  
11 donors would be more accurately apprised of the  
12 meaning of their test results if FDA were to permit  
13 blood centers to use the AABB proposed testing  
14 algorithms.

15           The Committee has agreed that revised  
16 supplemental testing algorithms is the correct course  
17 of action that this Committee, the BPAC, based on  
18 scientific and ethical considerations. However there  
19 still appear to be hurdles to cross. As Dr. Ruta told  
20 us, 21 CFR 610.40(e) states that you must further test  
21 each donation including autologous donations found to  
22 be reactive by a screening test whenever a  
23 supplemental, that is an additional more specific  
24 test, has been approved for such use by FDA.

25           Now NAT assays or licensed HIV I/II EIAs

1 do not currently carry these supplemental testing  
2 claims. However these assays have undergone rigorous  
3 review by FDA for donor screening claims and as such  
4 they meet all CGMP requirements including those for  
5 clinical and analytical sensitivity, specificity and  
6 reproducibility.

7 Furthermore, over five years of data  
8 establish the usefulness of NAT to confirm HIV I and  
9 HCV infection status supplemented by HCV RIBA or HIV  
10 I/II alternate EIA in circumstances in which many pool  
11 (PH) NAT is nonreactive. The use of an alternate HIV  
12 I/II EIA coupled with IV NAT, individual donation NAT,  
13 as included in the AABB proposed algorithm will serve  
14 to reduce substantially the number of HIV I Western  
15 Blots that will need to be performed.

16 Considering these facts, we urge BPAC to  
17 recommend to FDA that it find a way to allow both NAT  
18 and the HIV I/II alternative EIA approach to be a  
19 major approach of HIV and HCV supplemental testing  
20 algorithms without requiring new clinical trials to  
21 establish this claim. To this end, we also encourage  
22 the manufacturers of NAT and the HIV EIAs to work with  
23 blood centers to submit the required supplemental  
24 claim data to FDA for expedited review.

25 Lastly, and I think very importantly, use

1 of the proposed supplemental testing algorithms has no  
2 impact on blood safety since all EIA repeat reactive  
3 units are discarded and the donors are deferred.  
4 Furthermore, these algorithms, the use of these other  
5 supplemental tests like an alternate EIA, is not being  
6 proposed for the purpose of donor reentry.

7 So to restate this is a tool by which to  
8 give donors better notification methods, not a tool  
9 that at all affects whether the unit will be  
10 transfused or whether the donor will be eligible in  
11 the future. Therefore, supplemental testing  
12 algorithms should be adopted based on their ability to  
13 provide a timely and accurate result to a blood donor  
14 who is taking the time to make a generous gift. The  
15 AABB proposed algorithm is well suited for the purpose  
16 of accurately informing a donor of test results.  
17 Thank you.

18 ACTING CHAIRMAN ALLEN: Thank you, Dr.  
19 Kleinman. Any questions or comments for Dr. Kleinman?  
20 Thank you. At this point in the meeting, we will go  
21 back to our opening since Dr. Epstein is here. We  
22 will go ahead and proceed with the Topic 1 which is  
23 FDA's current thinking on reentry of donors previously  
24 deferred for anti-HBc reactivity. We have a series of  
25 four presentations in this segment. The first

1 introduction and background by Dr. Kaplan. I'll be  
2 pleased when wireless technology simplifies the  
3 transfer of computers.

4 DR. KAPLAN: Good morning. I'm Geraldo  
5 Kaplan. I will introduce for you the reentry for  
6 donors that were deferred for repeat reactivity with  
7 anti-core tests results. So this is a current  
8 thinking session and the FDA would like to present to  
9 the Committee a proposed algorithm that will allow  
10 reentry of donors deferred for testing repeat reactive  
11 for antibodies to hepatitis B surface antigen on more  
12 than one occasion.

13 This guidance dated September 10, 1991 for  
14 the screens of anti-HBc it says that donations for  
15 transfusion should be tested for HBsAG and anti-HBc.  
16 Only reactive units should be transfused. The donors  
17 should be indefinitely deferred when they test repeat  
18 reactive more than once and that donor reentry  
19 algorithms were not recommended at that time because  
20 there was no supplemental test, basically a more  
21 additional more specific test for anti-HBc.

22 The consequences of this anti-HBc  
23 screening were that although anti-HBc donor screening  
24 contributed to blood safety, many donors were  
25 indefinitely deferred because of potentially false

1 positives anti-HBc results. In the BPAC meeting in  
2 December 1998, FDA and AABB presented to BPAC similar  
3 reentry algorithms based on negative test results for  
4 hepatitis B surface antigen, anti-HBc and anti-HBsAG.

5 The Committee did not recommend reentry  
6 because the American Red Cross data showed that some  
7 HBsAC and anti-core negative samples were HBV reactive  
8 using an experimental NAT. As you stressed that in  
9 some tests the anti-HBV were also positive so some  
10 samples where one was positive with one test and  
11 negative with another one.

12 We have had recent developments basically  
13 that hepatitis B in NATs have been developed for  
14 screen donations in meaningful format. They can be  
15 used to test individual donations. It does enhance  
16 its sensitivity. The FDA is considering testing  
17 algorithms to permit reentry of donors that will  
18 include use of this sensitive HBV NAT and I will talk  
19 about that proposed algorithm in a few minutes.

20 Some of the considerations is that will  
21 permit reentry only on the premises that (1)  
22 historical test for anti-HBc were false positives and  
23 (2) that there is no evidence for past or present  
24 hepatitis B virus infection. Also reentry base on  
25 testing of hepatitis B surface antigen, anti-core and

1 hepatitis V DNA by the NAT that antibodies to HBSAG is  
2 not part of this reentry or algorithm because  
3 extensive hepatitis B vaccination programs have been  
4 in place for a number of years and many individuals  
5 are antibody positive so this is not a good marker of  
6 hepatitis B infection at this point.

7           So what's our current thinking? A donor  
8 that has been indefinitely deferred because of having  
9 tested repeated reactive for anti-HBc on more than one  
10 occasion may be reentered if after a meeting of eight  
11 weeks subsequent to the last repeated reactive anti-  
12 hepatitis B core test a new sample is collected from  
13 the donor and this sample tests negative for surface  
14 antigen, anti-HBc and hepatitis B NAT and the  
15 sensitivity that we're looking for is 95 percent  
16 detection at less than 10 copies per mil. However, we  
17 are at this point flexible on that limit and this test  
18 should be FDA license assays.

19           Also that whenever a donor presents at a  
20 blood center to the NAT subsequent to the negative  
21 test for surface and anti-core and HBV NAT, all donors  
22 with that criteria for donors of whole blood and  
23 components are fulfilled. So basically that's what I  
24 would like to present to you. The data of the full  
25 evaluated algorithm is being collected at the present

1 time and basically the part missing is using a more  
2 specific test for anti-core. So we will hear a  
3 presentation by Dr. Susan Stramer regarding her data  
4 on NAT testing of repeat reactive samples. At this  
5 point, I would like to introduce Dr. Blaine Hollinger  
6 that will give us an introduction on serology.

7 ACTING CHAIRMAN ALLEN: Can we take just  
8 a second first? Are there any questions first of all  
9 for Dr. Kaplan's setting of the stage? I've have just  
10 one quick question with regard to the sensitivity of  
11 the HBV NAT. You talked about a sensitivity of 95  
12 percent detection at 10 or fewer copies per MIL. What  
13 is the range there that you actually find in the FDA  
14 license assays?

15 DR. KAPLAN: Well, there is no license  
16 assay. The FDA last week presented a set of possible  
17 license in the near future of HBV NAT. That is a  
18 meaningful assay so the sensitivity of that meaningful  
19 assay that it's lower than the ID NAT in donation.  
20 Unfortunately, I think that Rush (PH) had given a  
21 presentation, the last presentation of the session.  
22 I haven't seen him in the room. They are here. Good.  
23 So they will probably talk about the sensitivity of  
24 their assay that would meet this 10 copies requirement  
25 and I understand that other assays could reach that

1 limit though we are flexible at this point on that  
2 requirement. So restating what I'm saying is that the  
3 future license test are meaningful and this is  
4 probably pushing a little bit the envelope because it  
5 will be done on ID NATs single units.

6 ACTING CHAIRMAN ALLEN: All right. Thank  
7 you. Dr. Hollinger.

8 DR. HOLLINGER: Thank you, Dr. Allen. I'm  
9 going to try to give again a brief overview of  
10 serology to those of you who may not be quite so  
11 familiar with the hepatitis B virus. I think any time  
12 that you start with serology you need to understand  
13 something about the mechanisms briefly of viral  
14 multiplication.

15 With any viral infection usually initially  
16 specific cells are targeted for infection and of  
17 course where hepatitis B virus this is the  
18 hepatocytes. The genetic material then from that  
19 virus is introduced in some fashion into the cell.  
20 Following this, genes are expressed and viral genomes  
21 are replicated and following this replication there  
22 are both non structural proteins which are important  
23 for the viral replication as well as structural  
24 proteins which are important for the assembly and  
25 release of virions. In most cases following this but

1 not always cells are destroyed and disease develops.

2 This shows these particles that are found  
3 in hepatitis B virus infections. It's really  
4 interesting because initially we were all expecting to  
5 find really virions present and in this virus, you  
6 found a very large number of these non-infectious  
7 particles. Indeed the plasma derived vaccine  
8 initially was made from this small particle here.  
9 These long tubular forms, the tubulars you can see  
10 coming off of the surface of the virion itself is the  
11 surface antigen is composed of these small particles  
12 here.

13 There is no infectious nucleic acid in  
14 these particles. So you have surface antigen here and  
15 on the surface of the virion itself, there is probably  
16 10,000 or more non-infectious particles per each  
17 infectious virion that's present. Then of course  
18 inside this virion is a nucleocapsid that protects the  
19 nucleic acid which is inside of this particle. Next  
20 slide please.

21 The nucleic acid for hepatitis B virus has  
22 four open reading frames. Two of them are important  
23 for the discussion here today and that is the open  
24 reading frame which is important for the surface  
25 antigen and of course it has its own antibody, the

1 anti-HBs and then there is the open reading frame that  
2 produces the core antigen important for the  
3 nucleocapsid. Part of this core antigen also is  
4 important for the production of the HBe antigen. The  
5 e antigen is not part of the structural protein of the  
6 hepatitis B virion but does circulate free in the  
7 circulation indicative of an active replication of  
8 virus. Next slide please.

9 This just again shows the life cycle of  
10 the hepatavirus. It enters the cell. The coating is  
11 taken off. The nucleocapsid gets into the nucleus  
12 from which there is transcription of the genome with  
13 translation. It is then packaged into the  
14 nucleocapsid where there is synthesis, minus strand  
15 and plus strand synthesis. It's then budded. It goes  
16 through a budding phase in which the envelope is found  
17 in the virions which is then exported out the cell.  
18 Next slide please.

19 It is interesting. If you look in this  
20 cell, this is a freeze fracture EM if you will of a  
21 cell and what's very interesting in these cells is  
22 that there are nuclear pores. We wondered how these  
23 nucleocapsids could get inside the nucleus as well as  
24 being present in the cytoplasm. So this slide shows  
25 these nuclear pores and the next slide please.

1           This shows an infected cell with hepatitis  
2           B virus in which the nucleus is loaded with these  
3           small nucleocapsid some of which or most of which  
4           contain the virion. There are also nucleocapsid here  
5           in the cytoplasm. Indeed in the very early phases of  
6           infection, nucleocapsid is probably excreted free into  
7           the blood stream. In fact even as long ago as 1975,  
8           we reported the presence of free nucleocapsid in the  
9           blood of patients in the early phases of their  
10          infection which was found at that time with DNA  
11          polymerase but it preceded the development anti-core  
12          or anti-HBc. Next slide please.

13                 Now what about the course of HBV infection  
14                 in general. Next slide.

15                 Before you understand anything about the  
16                 course of infection, you have to have some grasp of  
17                 the sensitivity of the assays which are available  
18                 today. This slide goes from micrograms down to  
19                 attograms here. Picograms, femtograms and attograms  
20                 and so on getting down the HBV genome. The hepatitis  
21                 B virus has one picogram of the HBV virus which is  
22                 equivalent to about 280,000 genomes in there. So  
23                 that's about at that level.

24                 The hepatitis B surface antigen test which  
25                 are currently available, I mean the unlicensed test.

1 Some of the unlicensed test can get teched down one  
2 tenth of a nanogram or less in this range here which  
3 is approximately 100 picograms. You also then see  
4 that as you get into radioautography, hybridization  
5 assays maybe that could detect in this level here,  
6 some of the very first assays might detect only  
7 750,000 genomic equivalents and then as the tests were  
8 developed and you got into PCR and then into nested  
9 PCR and into some of the amplification assays, you  
10 began to move down toward this range here where you're  
11 almost testing or evaluating one HBV genome  
12 circulating per ML of blood.

13 So all of these tests are important  
14 because it tells us something about some of the  
15 studies that were done previously when, look at the  
16 next slide and you can see this on the next slide.  
17 Let me go on with this first. HBV DNA in the blood is  
18 detected about two to five weeks after infection and  
19 up to 40 days before the hepatitis B surface antigen  
20 is detected with a mean of only six to 15 days. It  
21 rises slowly. It's distinct from hepatitis C virus  
22 infections and it's at a relatively low level perhaps  
23 maybe only 10,000 genomes per ML or 100,000 or less  
24 during the seronegative period. Next slide.

25 HBSAG appears one to three week before the

1 ALT becomes abnormal or three to five weeks before the  
2 onset of symptoms or jaundice. It reaches the peak  
3 during the acute stage of the disease and then it  
4 declines to undetectable levels within four to six  
5 months in most of the individuals who resolve their  
6 infection as is true for probably 97 to 99 percent of  
7 immunocompetent individuals, adults. Next slide.

8 This slide I think points out again the  
9 relationship between HBsAG and HBV detection as we get  
10 more sensitive. The earlier tests maybe could only  
11 have a limit of detection of about 1,000. When you  
12 look at HBV DNA and those only about 66 percent of  
13 them were positive for HBV DNA.

14 As the tests became more and more  
15 sensitive and we are now down to 20 or 10 or 1.3 IUS  
16 per ML of detection, you can see that virtually all or  
17 at least most of the HBsAG positive material contains  
18 HBV DNA. This also just throws in here at these  
19 levels here some of the positivity in samples that are  
20 anti-HBc positive only, about 13 percent with this  
21 particular report and nine percent here. Next slide.

22 The third product is IgM anti-HBc. IgM of  
23 course is one of those early acute phase products  
24 which occurred. It's indicative of on-going viral  
25 replication when present. It appears acutely at the

1 onset of an ALT abnormality. It's primarily in the  
2 acute phase a 19S component. It's present but  
3 undetectable in some chronic infections.

4 Some people would say most chronic  
5 infections at a level that you usually don't detect it  
6 because a current IgM assay starts at an evolution of  
7 one to 1,000. This was initially set up to avoid a  
8 prozone (PH) which occurs at one to 100 levels or  
9 higher.

10 It is a 7S IgM fraction. That's kind of  
11 interesting because some of the new reductant assays  
12 that are available that use reductants like cysteine  
13 e or diathiathriatol (PH) usually break down the 19S  
14 component to a 7S component and often are more  
15 sensitive because of that when you add specific  
16 antibody present. So you don't lose the sensitivity  
17 of the assay in most cases. The IgM anti-HBc may  
18 reappear during reactivation of HBV. Next slide.

19 Now the total anti-HBc test and we say  
20 total just like we do for the total anti-HAV test is  
21 because it can detect both IgM and IgG. It is not  
22 just an IgG assay. It is detected in past or present  
23 HBV infections. It does not result from the hepatitis  
24 B vaccination which uses only HBSAG and therefore the  
25 antibody response is only anti-HBs. Next slide.

1                   And finally you have anti-HBs which comes  
2 later. It is the neutralizing antibody which occurs  
3 during recovery and after vaccination. It may become  
4 undetectable in up to 20 percent of patients after  
5 several years of follow-up. The next slides shows  
6 this.

7                   If you can see here, here's an acute  
8 infection here and after many years, what happens is  
9 the anti-HBs is not a very strong immunogen as  
10 compared to the anti-HBc and so the antibody levels  
11 that circulate in the blood stream are much lower. So  
12 over time, the anti-HBs may disappear. It's very  
13 unusual for the anti-core antibody to disappear. So  
14 out here many years later, all you'll have is anti-HBc  
15 only.

16                   If you take these individuals and  
17 vaccinate them or give them the regular vaccine within  
18 two to four weeks they will generate an anamnestic  
19 response which is one way you can try to determine  
20 whether or not this is anti-HBc only from a remote  
21 infection as distinct from something else. Next  
22 slide.

23                   This slide then looks at the serology of  
24 the disease and again as we pointed out what happens  
25 is HBV DNA occurring early followed by the HBSAG and

1 the e antigen present here in the bloodstream. IgM  
2 anti-HBc occurring early, switching over, not  
3 switching over but really switching very early with  
4 some IgG here as well and then both circulating. The  
5 IgM disappearing. The IgG continuing.

6 So what you have from this kind of slide  
7 here is you show that if you want to evaluate the  
8 relative infectivity of the blood, then HBV DNA and  
9 HBeAG is the most important thing to look at. e  
10 Antigen positive specimen in most cases is indicative  
11 of a very active infection with lots of virus in the  
12 bloodstream. There are exceptions to everything we  
13 talk about here but in general that's a very good  
14 rule.

15 The second rule is that the presence of  
16 IgM anti-HBc helps you differentiate in most cases  
17 acute from chronic infection. And a third rule is  
18 that the presence of anti-HBs and anti-HBc is  
19 indicative of immunity. Next slide.

20 This then shows the progression to chronic  
21 hepatitis B. Of course in very young infants born to  
22 mothers who are e Antigen positive perhaps 90 percent  
23 of these infants will become chronically infected.  
24 But for the immunocompetent adult, it's probably one  
25 to three percent that will become chronically

1 infected. We used to think it was 10 percent, but we  
2 know now that many of those patients had reactivation  
3 of chronic and not really acute hepatitis B which is  
4 why that initial level of 10 percent was felt to be  
5 the resolution of disease.

6 So in this case what happens is the  
7 patient becomes positive. Their HBs antigen remains  
8 elevated for more than six months. That's an  
9 arbitrary level to establish chronicity from  
10 nonchronicity. As you get more sensitive tests, of  
11 course I'm sure those arbitrary levels might have to  
12 change a little bit, but basically these patients  
13 remain HBsAG positive with a total anti-core.

14 There is no antibody that's usually  
15 developed that you can detect in most cases. They are  
16 e antigen initially and as years and years go by,  
17 about five to 15 percent a year will go from HBeAG  
18 positive to anti-HBe positive. Usually it occurs with  
19 reactivation of their disease. Enzymes go up. They  
20 may even get jaundice and look like acute hepatitis B.

21 This limited detection is very important  
22 because some patients can circulate virus at very low  
23 levels below the detection limit of your assay and  
24 therefore they will be negative but there may be anti-  
25 core present only and that may indicative of that

1 disease. Next slide please.

2 Now this sort of summarizes a couple of  
3 things which I've said and it goes through the factors  
4 that we just talked about. These are HBV DNA column,  
5 HBsAG, anti-HBc and anti-HBs. The first new HBC DNA  
6 positive is usually in the presero-conversion window  
7 period.

8 Next, if they develop HBsAG but are still  
9 negative for anti-core and anti-HBs this is usually in  
10 the early acute infection. Anti-core is then  
11 developed which is then indicative of an HBV infection  
12 either acute or chronic. You can't tell at this stage  
13 unless you do IgM anti-core.

14 As I mentioned, a few patients will have  
15 IgM anti-core who have chronic disease. The  
16 difference is is that IgM anti-core is at very low  
17 level, usually below three times the cutoff level as  
18 compared with an active acute infection which is very  
19 high. So that's a good way of determining whether  
20 this is an acute reactivation of a chronic disease  
21 versus acute disease.

22 Then you have patients who are anti-HBc  
23 and anti-HBs positive but have no DNA or surface  
24 antigen. This is usually indicative of a previous  
25 infection with immunity. Next slide.

1           The thing we're going to talk about a lot  
2 today has to do with this group here which may or may  
3 not have HBV DNA in the blood. They are negative for  
4 surface antigen but they are positive for anti-core.  
5 The total anti-core, I'm talking about here and they  
6 are negative for anti-HBs. This could indicate either  
7 a low level carrier as we talked about where the  
8 antigen cannot be detected.

9           It could be an early convalescent period  
10 in which case the IgM anti-HBc will be positive and  
11 these patients will also be anti-HBe or HBeAG positive  
12 as well in that window period. It could be HBV  
13 infection in the remote past as I discussed a minute  
14 ago or it could be a false positive or nonspecific  
15 reaction. A fifth one would be passive transfer of  
16 anti-HBc as say from a mother to her infant or through  
17 some blood products.

18           Finally, the other ones. This is a  
19 patient for all of these markers here but positive for  
20 anti-HBs. This is the kind of response you would  
21 expect after receiving the vaccine. Then of course if  
22 they are all negative, it usually excludes HBV  
23 infection. Next slide.

24           I want to just dwell just a little bit on  
25 the anti-core positive only group, the isolated group

1 or the solitary anti-HBc group. This just looks at  
2 the prevalence of isolated anti-HBc of blood donors  
3 and HBV DNA in those samples. It's a large group of  
4 patients, about eight studies here with a large group  
5 of patients and you can see that the anti-HBc only  
6 population, that's without anti-HBs, but anti-HBc  
7 only, no HBsAG, no anti-HBs, that it goes from a 0.07  
8 percent up to 4.8 percent depending on the region of  
9 the world with sort of a median of somewhere around  
10 0.3 percent.

11 The HBV DNA levels in these studies again  
12 you have to remember that there are different degrees  
13 of sensitivity when these assays were done, but they  
14 ranged here from zero up to 3.9 percent positive in  
15 those particular individuals. Next slide.

16 This slide shows the HBV DNA detection in  
17 anti-core positive only blood donor samples.  
18 Depending on the prevalence of chronic HBV infection  
19 in a population in this group the HBV DNA detection  
20 was 3.7 percent. In a population where there was a  
21 lot of virus around in that endemically, it was up to  
22 24 percent in this study. Next slide.

23 Now finally the last thing I want to talk  
24 about is just a little bit about HBV transmission from  
25 HBsAG negative donors. Next slide.

1 I'll start just briefly with a study that  
2 we did many years ago and again you have to understand  
3 that the sensitivities of the assays change and that's  
4 why I want to bring up this study because it points  
5 out the difficulties with looking back 10, 15, 20  
6 years ago or even looking at assays that were done 10  
7 years or so. In that study, we found HBV infection  
8 occurring in about one percent of the recipients of  
9 blood transfusions that were anti-core positive, but  
10 HBsAG negative and the untransfused control was less  
11 than ten percent.

12 There were five recipients who were co-  
13 infected with HCV in this study. I'm not talking  
14 about those. There were ten that had 12 implicated  
15 donors with HBV alone. Next slide.

16 Six of these donors, now this was done as  
17 I told, these were all HBsAG negative by the initial  
18 test that we did, but with a more sensitive test about  
19 ten years ago, three of them were actually found to  
20 have HBsAG in their bloodstream. It may be possible  
21 with even the more sensitive assays today that some of  
22 the other assays, some of the other donors, may also  
23 have HBsAG if you looked at them with the newer test.  
24 But there were six individuals here that were positive  
25 for anti-core, negative for HBsAG and HBV DNA. The

1 same thing I said about HBSAG can be said about HBV  
2 DNA. Next slide.

3 In this particular study of the  
4 individuals that were anti-core positive only that had  
5 no anti-HBs, 17 percent of those donors were  
6 associated with a hepatitis B case. Of the  
7 individuals that were anti-HBc positive that had anti-  
8 HBs in their blood up to 9.9 MIUs per MIL or  
9 International Units per liter, ten percent were  
10 associated with the case and most importantly, none of  
11 the donors that were anti-core positive only that had  
12 a high level of anti-HBs were associated with the  
13 case.

14 I must say that going through the  
15 literature I have yet to find a case of hepatitis B  
16 that has been transmitted from an individual who had  
17 anti-HBs and anti-HBc with a level that was at least  
18 above 10 or certainly above 100 regardless of their  
19 HBV DNA status. Next slide please.

20 So in looking at the risk of hepatitis B  
21 following receipt of anti-core positive products from  
22 blood or organ donors, 17 percent in our study here  
23 showed this. Allain showed three at ten percent.

24 Among organ donors, it's much higher.  
25 These are now organs that were given, not

1 transfusions, but organs that were given from patients  
2 who were anti-core positive and they may have anti-HBs  
3 and you can see a very percentage of those showed the  
4 development of hepatitis B. Immunosuppressed  
5 individuals. Next slide and the last slide.

6 This also shows anti-HBc transmission from  
7 pregnant females to their infants. There were 66  
8 mothers with anti-HBc reactivity only. Three of the  
9 infants had high ALT levels. Two had HBsAG and one  
10 HBV DNA without HBsAG. The HBV DNA was found in the  
11 leukocytes of two carrier mothers and in the cord  
12 blood of leukocyte samples, the infants became  
13 carriers with elevated ALT levels.

14 So I hope that this gives you some idea  
15 now as we're going to start talking about these issues  
16 about anti-core gives you a background of the serology  
17 of this disease. Thank you very much.

18 ACTING CHAIRMAN ALLEN: Thank you. A  
19 quick question. You showed data about the 12 donors  
20 that were implicated in transmission and then tested  
21 with later or more recent assays. I assume the  
22 testing was done on old stored samples of serum.

23 DR. HOLLINGER: It was done on stored  
24 samples, stored minus 70.

25 ACTING CHAIRMAN ALLEN: Thank you.

1 Questions for Dr. Hollinger. Yes, Jay.

2 DR. EPSTEIN: Blaine, can you comment on  
3 the sensitivity of the DNA assays that were used in  
4 these retests?

5 DR. HOLLINGER: On the retests?

6 DR. EPSTEIN: Yes.

7 DR. HOLLINGER: They were certainly more  
8 than, I would say probably in most cases more than 100  
9 in there, but I don't know specifically. These were  
10 done in 1993 I believe. So it wasn't the assays done  
11 with PCR. Well, there was PCR but it wasn't done with  
12 the more sensitive assays that we have today. I can't  
13 tell you exactly, Jay. I don't know.

14 ACTING CHAIRMAN ALLEN: Any other  
15 questions or comments? Yes.

16 DR. GOLDSMITH: Has the sensitivity of the  
17 anti-core changed over time, the tests that are being  
18 used?

19 DR. HOLLINGER: The tests that are  
20 currently being used, the licensed tests, probably  
21 have not had a great deal of change in sensitivity.  
22 I mean if you go back 30 years or 20 years, yes, but  
23 the more recent ones not very much.

24 ACTING CHAIRMAN ALLEN: Did it change as  
25 the antigen in the test, wasn't it initially derived

1 from an infected chimp or nonhuman primate lever and  
2 then became the recombinant test? I assume that the  
3 sensitivity probably changed with that. Okay. Other  
4 questions or comments? Yes.

5 DR. HARVATH: I was wondering in the last  
6 slide you showed where the transmission from mother to  
7 newborn, do you know whether in those studies those  
8 were from Asian countries or do you know the ethnicity  
9 of that? And would we have a different concern in a  
10 population, let's say, an Asian country where there  
11 would be higher incidence overall of HBV infection?

12 DR. HOLLINGER: Liana, I can't remember if  
13 those were from Asian populations or not. I have to  
14 go back and look it up again. Sorry.

15 ACTING CHAIRMAN ALLEN: All right. Any  
16 other questions? Our next speaker is Dr. Susan  
17 Stramer from the American Red Cross, studies of  
18 deferred donors.

19 DR. STRAMER: Thank you very much. For  
20 those of you who have a handout, I've updated my  
21 slides so please bear with me. But the Committee  
22 should have the new and improved version. Hopefully,  
23 it's improved. It's certainly new. Can we go to the  
24 next slide?

25 This is what I hope to cover today. The

1 current situation with anti-core testing, to show you  
2 reactive rates and the efficacy of the 2X deferral  
3 policy that Dr. Kaplan outlined, the history of the  
4 reentry algorithm development, what happened at prior  
5 BPACs which was already highlighted and then what  
6 successes we've had as a AABB task force is looked at  
7 the issue of anti-core reentry.

8 I'll review data of a pre-IND pilot study  
9 that we did with NGI, the current status of an IND  
10 that we have for testing anti-core repeat reactive  
11 donors for DNA which is also with NGI. Preliminary  
12 data was presented by Dr. Richard Smith at the June  
13 BPAC and then I will conclude. Next slide please.

14 This is during my life with the Red Cross  
15 the reactive rates of anti-core. So that's what the  
16 beginning of the X axis represents. But what you see  
17 of the initial reactive rates and the repeat reactive  
18 rates are both a linear decrease over time. So we are  
19 calling out anti-core repeat reactive donors as we  
20 lose true positives and false positives. This was  
21 9/11 but also you see that the pattern of IR and RR  
22 rates parallel one another indicating that it's  
23 probably related to a characteristic of the test. Our  
24 mean repeat reactive rate is 0.44 percent and it's  
25 over the last fiscal year. Next please.

1                   We know that no confirmatory test exists  
2 for anti-core.       Neither does a standardized  
3 confirmatory strategy and also there's high non-  
4 specificity of tests currently in use. The historical  
5 and present repeat reactive rates I showed you ours at  
6 0.44 percent have ranged from about 0.4 to 1.6  
7 percent.

8                   The estimated majority of these are false  
9 positives and from some email exchanges that we've had  
10 over the last couple of days with some blood centers,  
11 I've put together the low and high reported for blood  
12 centers of false positivity based on in-house  
13 algorithms such as anti-HBs, repeat anti-core with a  
14 second ELISA, etc. So we have this range of false  
15 positivity. It's obviously dependent on the  
16 specificity of the test used.

17                   The policy for anti-core repeat reactivity  
18 is that if you're core reactive for the first time you  
19 may donate again. But there may be a negative impact  
20 of a repeat reactive notification on a donor returning  
21 to donate. They may not understand what we're trying  
22 to tell them. But if you're anti-core repeat reactive  
23 twice, you're deferred.

24                   Now in trying to determine how many  
25 deferred donors we have who lack other deferrals, that

1 is their anti-core repeat reactive only and otherwise  
2 would be suitable for donation, the Red Cross has put  
3 together data since we have been tracking this at  
4 greater than 200,000 donors over this time period.  
5 However, the number is probably considerably higher  
6 because we and most blood centers implemented core  
7 screening in 1987 and then this doesn't include 2004.  
8 So perhaps the number nationwide if we represent about  
9 half may be close to one million donors. Next please.

10 What is the success of having a 2X anti-  
11 core deferral option? That may tell us a little bit  
12 about what the likelihood of donors who will come back  
13 if we did have a reentry algorithm. These data will  
14 be presented by Chang Fang and co-workers at this  
15 year's AABB meeting, but I will highlight the  
16 findings.

17 I'm going to present data for 3.9 million  
18 donors representing 6.5 million donations from the  
19 year 2000. They were the anti-core repeat reactive  
20 donation and continued donation history was examined  
21 from 2000, that's the index year, plus three  
22 additional years to look at their return history. We  
23 excluded autologous and donors with other deferrals.

24 The ortho anti-core LIs (PH) was used for  
25 the entire period of time and for this period of time,

1 I showed you why repeat reactive rates have been  
2 decreasing, but for this period of time, our repeat  
3 reactive rate was 0.64 percent and for control  
4 population those were anti-core nonreactive. We  
5 selected first time and repeat donors at 500 selected  
6 at random per month for the one year period of time.  
7 So we had about 6,000 for each group. Next please.

8 The total number of first time donors, 36  
9 percent of the 3.9 million was 1.4 million. 1.4  
10 percent of the first time donors tested core repeat  
11 reactive. Looking now over the next three years, 81.5  
12 percent never came back.

13 In comparison to first time donors where  
14 our control was 54 percent came back. Of those who  
15 did return, that is the remainder which is about 20  
16 percent, 88 percent were core repeat reactive at their  
17 donation. Of the remaining 12 percent who remained  
18 eligible which is 428 total donors, 14 percent became  
19 anti-core repeat reactive in the next three years.  
20 From this 14 percent, 98 had subsequent anti-core  
21 nonreactive donations from 60 donors ranging from one  
22 to seven donations per donor. The remaining 86  
23 percent had 809 subsequent anti-core nonreactive  
24 donations and this was from 368 donors with a range of  
25 one to 13 donations per donors.

1                   So the overall yield for first time donors  
2                   who were core reactive for the first time was 0.016  
3                   donation per donor per year. I don't know if that  
4                   equals to your pinky or your big toe but it's  
5                   certainly not a lot of success. Relative to controls,  
6                   we have 0.74 donations per donor per year from the  
7                   control group. Next please.

8                   Now looking at repeat donors, 2.5 million  
9                   or 64 percent of the total, 0.24 percent were repeat  
10                  donors who tested anti-core repeat reactive.  
11                  Similarly to the first time donors, 80 percent did not  
12                  return in three years versus 26 percent of the  
13                  controls. Of those who did return, 38 percent were  
14                  anti-core repeat reactive for the second time on the  
15                  subsequent donation.

16                  Of the remaining 62 percent who remained  
17                  eligible, that's 752 donors, 12.5 percent were anti-  
18                  core repeat reactive in the next three years. From  
19                  12.5 percent, we had 224 subsequent anti-core repeat  
20                  reactive donations from 94 donors with this range, one  
21                  to 23 donations per donor and for the remaining 87  
22                  percent who were not deferred in the next three years,  
23                  we had 3,000 subsequent anti-core nonreactive  
24                  donations from 658 donors with a range of one to 57  
25                  donations per donor. The overall yield was 0.18

1 donation per donor per year which relative to the  
2 controls is lower. Controls was 1.36 donations per  
3 donor per year. Next please.

4 You can skip this slide and skip the next  
5 slide. These were provided to the Committee to let  
6 you see how the data were derived. Next please.

7 So of the total 3.9 million donors in  
8 2000, the donor return rate is low. Three hundred  
9 sixty-eight of 1952 anti-core repeat reactive donors  
10 who were first time donors, their return rate was 1.9  
11 percent. Six hundred and fifty-eight of 549 (SIC)  
12 repeat donors successfully donated for donation over  
13 the next three years or 11 percent.

14 Of those who did return, high rates of 2X  
15 anti-core reactivity that was 88 percent of first  
16 time, 38 percent in repeat. The total successful  
17 donation yield per year is low and these were the  
18 numbers that I quoted, 0.16 for first time, 0.18 for  
19 repeat and 0.74 for first time controls versus 1.36  
20 for the repeat controls or 46 and 8 times higher for  
21 the control group. That is their yield of successful  
22 donations. Next please.

23 So the 2X deferral policy is of limited  
24 yield. The impact is greater on repeat donors who we  
25 lose 80 percent for core reactivity on the first time

1 donation versus 26 percent who will come back. The  
2 anti-core reentry algorithms are projected to have  
3 higher yields if a different test is introduced. That  
4 is the blood system converts to a different method.  
5 We have two hurdles here. One is that the donors  
6 don't return and secondly, the donors continue to be  
7 repeat reactive when the same tests are used. Next  
8 please.

9 So where do we stand? At the December '98  
10 BPAC, this was reviewed by Dr. Kaplan, but it was our  
11 data that caused some concern. We were involved in  
12 the PRISM clinical data and as Blaine discussed, there  
13 were some discordant samples or at least Blaine  
14 discussed this category of samples where you may have  
15 DNA positivity and isolated core reactivity. Here we  
16 had core discrepant reactivity. Our test record was  
17 negative, but the PRISM test and anti-core test was  
18 positive.

19 Upon further testing, two our of three of  
20 these samples did have weak anti-HBs so they likely  
21 were from infected donors. Converse, we had one DNA  
22 positive sample that was PRISM negative and ortho  
23 positive and that sample was negative for all HBV  
24 serologic markers. So we know we had some  
25 discrepancies and one explanation for discrepancy

1 other than true HBV infection is false positivity of  
2 the DNA test perhaps due to carryover or sample  
3 contamination.

4 So we faced a reentry algorithm proposed  
5 such that we would have a follow-up or a clean sample  
6 and that sample would have to test negative for HBsAG,  
7 anti-core using a second licensed test. We included  
8 anti-HBs and an investigational or research HBV DNA  
9 assay. Subsequently at the BPAC discussion, the use  
10 of anti-HBs was discouraged. Next please.

11 So we formed the AABB TTD Task Force. We  
12 collected preliminary data that I will review from the  
13 Red Cross and sent it to FDA DETTD to request that the  
14 data that we collected were adequate to qualify a  
15 reentry algorithm. The FDA questioned the sensitivity  
16 of the DNA protocol and the study has yet to be  
17 completed because of the availability of PRISM  
18 reagents.

19 On May, 2003, we again met with FDA to  
20 propose a reentry algorithm which is comparable to the  
21 one today and I'll highlight the difference. Based on  
22 an eight week follow-up sample that test anti-core  
23 nonreactive preferably using a different test with  
24 comparable sensitivity but improved specificity and --  
25 Next please.

1           The sample must test DNA negative and an  
2           assay that has a sensitivity of less than or equal to  
3           10 copies per MIL. At that time we requested that IND  
4           tests could be used and that the next donation then  
5           could be used for transfusion if it tested nonreactive  
6           by all FDA required tests and the donor was otherwise  
7           suitable. We know now that the FDA requires the  
8           licensed DNA test for reentry and the qualification of  
9           that DNA test must use a licensed anti-core assay.  
10          Next please.

11                 So the prepilot study that we did involved  
12           3,000 anti-core repeat reactive unlike donations that  
13           were selected in 2001. The surplus NAT samples were  
14           contained in our NAT tube to limit contamination. The  
15           criterion for inclusion was nonreactive by all other  
16           test methods so we would have an anti-core only  
17           reactive.

18                 There was no preselection of first time or  
19           two time anti-core repeat reactive donors. So we  
20           chose 3,000 to allow it to be robust enough so that we  
21           would include both one time and more importantly, two  
22           time repeat reactive donors. At the time of the  
23           study, our 2X anti-core repeat reactive rate was about  
24           24 percent of Red Cross donors. So we could project  
25           how many were 2X anti-core reactive of the 3,000 to be

1 708. Next please.

2 The samples were tested individually with  
3 the NIG UltraQual 8-rxn test that has a 0.2 ml input.  
4 The definition of positive, that is the test is run in  
5 eight replicates. If any test is positive, the  
6 interpretation of the sample is positive. The  
7 sensitivity was 9 IU/ml and using NGI's conversion  
8 factor of an IU to a copy, it comes out to 31 copies  
9 per mil. Next.

10 So of the 3,000 tested, we had 0.63  
11 percent samples reactive or 19. Eleven had less than  
12 100 copies per mil low level as we know anti-core  
13 onlys have and of the eight reactions run, there was  
14 an average of only 1.7 reactions that were positive.  
15 We have another eight of the 19 with viral loads above  
16 100 but not exceeding 500 with a mean of 287.5 copies  
17 per mil and here there was an average of 4.75  
18 reactions of the eight that were reactive.

19 Data was provided to the FDA as I  
20 mentioned. FDA requested additional testing on the  
21 residual sample which has not yet been completed and  
22 the FDA stated that 31 copies per mil is an adequate  
23 sensitivity for anti-core donor reentry which is how  
24 we get to the 10 copies per mil or that is they want  
25 it sensitivity better than 31 knowing that commercial

1 tests were available to achieve this. So "since  
2 higher sensitivity DNA tests are available, it would  
3 be preferable to use such an assay instead." Next  
4 please.

5 So as I mentioned, the goal is to complete  
6 the study by looking at a second core test so that we  
7 could project the number of core nonreactives that  
8 would be eligible for reentry using this algorithm.  
9 The anti-core reactives would be investigated for  
10 anti-HBs and the assumption is that our 19 DNA  
11 positives would be PRISM anti-core reactive and we're  
12 in the process of getting this going. Next please.

13 If you put the study that I just described  
14 in context with other studies that have looked at  
15 similar types of data, we have 0.63 percent rate of  
16 anti-core positivity. The Roche clinical trials that  
17 were presented at the last BPAC had a 0.35 percent  
18 rate of anti-core only that were DNA positive and a  
19 study done by REDS had a little bit lower, a 0.24  
20 percent. So this study still yields at the highest  
21 number of DNA positivity or one in 37,000. Next  
22 please.

23 Next, please.

24 So where are we now? We are under IND  
25 with NGI. The purpose of the IND is so that we can

1 use the DNA test as a counseling tool for anti-core  
2 repeat reactive donors. I mentioned there was no  
3 standardized confirmatory algorithm, so this would be  
4 one purpose of the study.

5 We would continue our survey of anti-core  
6 repeat reactive donations for HBV DNA reactivity, and,  
7 lastly, to limit viral loads and plasma pools for  
8 further manufacture, since anti-core reactives are  
9 included in frac plasma.

10 We rolled out the program earlier this  
11 year.

12 Next, please.

13 We used the eight-reaction test that I  
14 described for primer pairs used, each tested in  
15 duplicate, and, again, a reactive in an EVAY test is  
16 positive. Here we test in pools of 16. Positive  
17 pools resolve to the individual positive donation, and  
18 all positive samples are quantified.

19 Samples without adequate volume for  
20 pooling or resolution are tested individually. So we  
21 have a mixture of pool tests and individual tests.

22 The sensitivity of the pool test is the  
23 eight-reaction test with a 2 mL input -- this .9 IU  
24 per mL, or 3.1 copies per mL. However, when we have  
25 requalified with NGI, we have now found a three-fold

1 increase in sensitivity. So it's probably closer to  
2 one copy per mL sensitivity.

3 But in a pool, using the original  
4 validation data, it's about 50 copies per mL. When we  
5 do resolution testing, the resolution tests is about  
6 five copies per mL. And if we test a single unit,  
7 it's back to the 31 copies per mL that I mentioned for  
8 the qualification -- the pre-pilot qualification  
9 study. The quant assay has 100-copy per mL  
10 sensitivity.

11 Next, please.

12 All donors in this IND are notified of  
13 their test results, and they're deferred if they're  
14 even one-time or two-time core reactive and DNA  
15 positive. They're deferred if they're DNA negative  
16 but two times anti-core repeat active. And deferred  
17 donors are eligible for a followup study that we've  
18 just initiated, and donors will come back and be  
19 tested for all markers of DNA, but we won't do -- I  
20 shouldn't say "all." We won't do E and anti-E, at  
21 least at this point.

22 Next, please.

23 So how many donations have we tested to  
24 date? We've tested 6,006. This is now twice as large  
25 as the 3,000 pilot study I mentioned. .44 percent

1 anti-core is our reactive rate, but not all donations  
2 were available for PCR testing.

3           These are the outcome. About 60 percent  
4 were negative in pools. About another third of the  
5 donations were negative from positive pools. And of  
6 those that we were able to get one-time or two-time  
7 core data for, about 23 percent were two times anti-  
8 core repeat reactive donors.

9           Now, positives, we have 304, or 5 percent  
10 from positive pools, with another six samples that  
11 were positive and samples that were never pooled but  
12 tested individually. So we have a total of 310  
13 positives of the 6,006, and this translates to about  
14 a 2.4 percent pool reactive rate.

15           Next?

16           If we separate out the 6,006 into HBsAg  
17 positive versus HBsAg negative, first, I'll go through  
18 the HBsAg positive. 179 of the 310, or just over  
19 half, were HBsAg positive. 99.2 percent was their  
20 mean HBsAg percent neutralization result, and they  
21 were all very high.

22           Viral loads ranged from below the limit of  
23 detection to five billion copies per mL. The median  
24 was 5,500 copies per mL. Eleven, or 6 percent, of the  
25 179 had less than 100 copies per mL, but were

1 qualitatively positive.

2 Now, if we look at the data a little bit  
3 differently, if we just look at HBsAg positive samples  
4 from our database, about 97 percent, 96.7 percent, are  
5 anti-core repeat reactive. And of those, 96.4 percent  
6 of these HBsAg positive anti-core reactives were HBV  
7 DNA positive. So the data seem to all fit together as  
8 expected.

9 Next, please.

10 Now, with the HBsAg negatives -- and these  
11 would be the ones who potentially would qualify for  
12 reentry, we had 131 of 310. The viral loads were  
13 lower than the HBsAg positives, as you would expect,  
14 less than 100 to 6,400 copies per mL, and the median  
15 was lower than the limit of detection by the  
16 quantitative assay. 57 percent -- again, very similar  
17 to the pre-pilot data -- had less than 100 copies per  
18 mL but were qual positive.

19 Next, please.

20 So this graph shows you the distribution  
21 of the HBsAg positives by viral load, the HBsAg  
22 negatives by viral load -- again, these are all anti-  
23 core repeat reactive. The same data, just showing you  
24 the lower distribution of virus in the anti-core in  
25 the HBsAg non-reactive samples.

1 Next, please.

2 So, in total, 131 of 6,006 samples, or  
3 2.18 percent, were anti-core repeat reactive only  
4 samples that were DNA positive. This is three and a  
5 half times higher than the pilot study which I showed  
6 you at .63 percent, and these two numbers are  
7 significantly different.

8 Why would we come up with a higher number  
9 now when the sensitivity, actually, by the original  
10 qualification data was actually less than the pilot  
11 study? I've listed some thoughts.

12 There has been no change, at least to the  
13 assay sensitivity. We have not changed the assay.  
14 However, upon revalidation of the assay, it did  
15 produce a three-fold increase in sensitivity the way  
16 the assay is run today at NGI. There were no changes  
17 to donor selection criteria. I mean, perhaps there's  
18 contamination in some of these samples. But from the  
19 way the data fit together with HBsAg, I don't think  
20 that's a high number. But our followup study will  
21 resolve the discrepancy.

22 And my last slide, please.

23 So, in conclusion, poor assay specificity  
24 and the lack of confirmatory test has led to the use  
25 of a two times anti-core repeat reactive algorithm

1 prior to deferral. But we know that donor return rate  
2 is low. Of those who return, two X anti-core  
3 reactivity is high, and the total successful donation  
4 yield then per year is low.

5 Anti-core reentry algorithms are projected  
6 to have higher yields if we switch to a new test with  
7 improved specificity. That should allow the  
8 validation or use of reentry algorithms with anti-core  
9 tests of improved specificity. A mass of anti-core  
10 repeat reactive donors demonstrates that .63 percent  
11 to 2.2 percent have HBV DNA, although the level of  
12 viremia is low.

13 So anti-core testing does have value, but  
14 mechanisms should exist to capture those donors that  
15 are lost.

16 Thank you.

17 ACTING CHAIRMAN ALLEN: Thank you very  
18 much, Dr. Stramer.

19 Quick question with regard to how these  
20 donors are handled the first time that they're found  
21 to be repeat reactive. What are they actually told?

22 DR. STRAMER: They're provided with a  
23 donor letter and a fact sheet that thanks them for  
24 their donation. We found repeat reactive on a test  
25 for anti-core -- you know, we explained the -- if you

1 tested abnormal on a test for hepatitis B virus, this  
2 does not mean that you're infected. And because of  
3 the high false positive rates of tests, you're  
4 encouraged to come in again and donate.

5 And then, we give them a little bit of a  
6 fact sheet that gives them risk factors and what you  
7 should do if you think you're infected with  
8 hepatitis B.

9 ACTING CHAIRMAN ALLEN: So they're told  
10 they can come back in eight weeks or --

11 DR. STRAMER: Correct.

12 ACTING CHAIRMAN ALLEN: -- or -- and when  
13 they come back in, it's not just for testing. They're  
14 coming back in to go through the donation process  
15 again.

16 DR. STRAMER: Correct. As any other  
17 routine whole blood donor.

18 ACTING CHAIRMAN ALLEN: So their testing  
19 process would be exactly the same in the mini pool as  
20 if they had never had an abnormal test.

21 DR. STRAMER: Correct. If their anti-core  
22 repeat reactive again, their donation will go to NGI.  
23 Correct.

24 ACTING CHAIRMAN ALLEN: Thank you.

25 Other questions for Dr. Stramer? This is

1 certainly a very important database. Yes?

2 DR. GOLDSMITH: I just wanted to ask two  
3 things. On the -- I thought I heard you say that the  
4 plasma from the units that were core reactive was used  
5 for further manufacture. Did you say that?

6 DR. STRAMER: Yes. Anti-core repeat  
7 reactive donations can be used for fractionated  
8 products, yes.

9 DR. GOLDSMITH: So the donor units that  
10 you collect are split. The cellular components are  
11 discarded.

12 DR. STRAMER: Correct.

13 DR. GOLDSMITH: And the plasma is used for  
14 further manufacture.

15 DR. STRAMER: Correct. And that's to  
16 maintain anti-HBS levels and factor -- you know,  
17 fractionated products.

18 DR. GOLDSMITH: And I just have kind of a  
19 philosophical question about the practice of medicine.  
20 When these donors present and have a reactive test, if  
21 I understand what you said correctly, they get a  
22 letter indicating that they should come back to the  
23 donor center to donate again. Are they referred to  
24 physicians for medical follow up or --

25 DR. STRAMER: Well, we --

1 DR. GOLDSMITH: -- care or individual  
2 testing, to try and sort out what their status is?

3 DR. STRAMER: Well, we -- firstly, the  
4 letter is pretty generic. And it says, "You've tested  
5 with -- you have an inconsistent test result. If  
6 you'd like more information, please call the Blood  
7 Center." But we explain what test they were used and  
8 -- what test they were found reactive. And because of  
9 the high rate of false positivity in this particular  
10 test, you're eligible to donate again.

11 But if you have risk factors, you know, we  
12 encourage you not to donate -- and those are listed on  
13 the attached fact sheet. So we go through, you know,  
14 typical information about hepatitis B, who is at risk,  
15 what to do if you're at risk, if you believe at risk  
16 or you're unclear about the meaning of these test  
17 results, please see your physician.

18 So they are referred to the medical  
19 system, but they are -- they are told that they can  
20 come back and donate. And if found non-reactive,  
21 their donation will be used.

22 ACTING CHAIRMAN ALLEN: Dr. Hollinger.

23 DR. HOLLINGER: Susan, a couple of  
24 questions, and you may not know the answer to these.

25 DR. STRAMER: More questions than you've

1 sent me on e-mail?

2 DR. HOLLINGER: Huh?

3 DR. STRAMER: More questions than you've  
4 sent me on e-mail?

5 (Laughter.)

6 DR. HOLLINGER: Yes. Sorry about that.

7 DR. STRAMER: That's okay.

8 DR. HOLLINGER: Just one question about --  
9 you mentioned that there were 97 percent of the HBsAg  
10 positives or anti-HBC repeat reactive. So there's  
11 3 percent that were not anti-HBC reactive, repeat  
12 reactive. Were HBV DNAs done on those three? I mean,  
13 on that 3 percent? And how positive were those HBS  
14 antigens on those 3 percent in terms of ratios?

15 DR. STRAMER: You'll have to come to my  
16 AABB presentation where I discuss those data. The  
17 3 percent are not part of the IND. The IND specifies  
18 only anti-core repeat reactive donors who tested.

19 The HBsAg's confirmed positives -- now we  
20 have switched back from the Ortho test to the Abbott  
21 test -- they split into two groups. Clearly, there  
22 are false positive neutralizations that have low  
23 signal to cutoff ratios, and lower percent  
24 neutralization values. Although about 60 percent  
25 still have greater than 80 percent neut vales, they're

1 a large proportion of this half that we believe are  
2 false positive have low percent neuts.

3 So it's really mix -- a mixture of both  
4 positive and negatives. If we were to do DNA testing  
5 on this, this would obviously resolve this, or if we  
6 were to do donor followup. But we have not pursued  
7 that for these 3 percent.

8 DR. HOLLINGER: The other thing -- again,  
9 you may not have the data. But, again, of those that  
10 are anti-HBC positive but, say, PRISM negative, what's  
11 the HBV DNA in those? That were -- the previous tests  
12 that are repeat reactive anti-HBC positive that turned  
13 out to be negative by another test -- let's say PRISM  
14 test -- what -- were those looked at for HBV DNA?

15 DR. STRAMER: The only one -- well, the  
16 only time we had that discrepant population was in the  
17 PRISM clinical trial. And I said we had one of those  
18 that was Ortho test of record reactive and PRISM non-  
19 reactive. But it turns out that that donor was not  
20 HBV infected. We did get donor follow up for that  
21 particular donor.

22 DR. KUEHNERT: First, just a clarification  
23 on the sensitivity. So with the pooled method you get  
24 a sensitivity that's significantly higher than with  
25 the single unit detection method. Is that right?

1 DR. STRAMER: Significantly lower.

2 DR. KUEHNERT: I mean, it's --

3 DR. STRAMER: It's less sensitivity.

4 DR. KUEHNERT: Yes. So the -- so, for  
5 instance, for single unit you said it was 31 copies  
6 per mL sensitivity versus pools of 16, 3.1 copies per  
7 mL?

8 DR. STRAMER: No. No. That's -- 3.1 is  
9 the test sensitivity. But then, when applied to a  
10 pool of 16, I said the pool sensitivity in copies per  
11 mL was about 49.5 copies.

12 DR. KUEHNERT: Oh, okay. Okay. Thanks  
13 for that. And then --

14 DR. STRAMER: But that 49.5 -- you know,  
15 it depends if you look at -- NGI filed an IND. And in  
16 that IND, they have the .9 or 9 IU per mL, which I  
17 reported. But then, before we did the study and the  
18 way that we all operate, I wanted to revalidate their  
19 test and look at even a more sensitive test. So  
20 instead of doing an eight-reaction test, I wanted to  
21 use a formula input and use a 16-reaction test.

22 So we, then, had to requalify tests of  
23 record. And at that time we got a three-fold increase  
24 in sensitivity of the NGI method. So it may be 50  
25 divided by 3 is the operating sensitivity of the

1 assay.

2 DR. KUEHNERT: Susan, it confused me,  
3 because then it became more sensitive than the single  
4 units. That was -- okay. That clarifies that.

5 DR. STRAMER: Yes.

6 DR. KUEHNERT: The second question I had  
7 was about the 75 samples that were less than 100  
8 copies per mL but qualitatively positive. And maybe  
9 I missed this, but what -- are you able to quantitate  
10 what those are in any way?

11 DR. STRAMER: Well, linearity of the NGI  
12 quant assay, or their standards only go down to 100  
13 copies per mL. So the qual assay, which runs at much  
14 more sensitive, you can have a qual positive sample  
15 that you can't quantify, because the viral load is too  
16 low.

17 Now, I haven't pushed NGI with more  
18 standards, but certainly, as one of their largest  
19 customers, that could be done. And it's an issue,  
20 because many times, not only for HBV but HIV/HCV/West  
21 Nile, we can't get quants less than 100.

22 DR. KUEHNERT: That would be useful.

23 DR. STRAMER: Yes. I agree.

24 DR. KUEHNERT: Thanks.

25 DR. EPSTEIN: I have two questions, Sue.

1 You noted that among first-time donors with a one-time  
2 reactivity anti-HBC their rate of a second reactive  
3 anti-HBC was 88 percent, whereas for repeat donors, or  
4 previously repeat donors, in a comparable situation  
5 there was only 38 percent. That tends to suggest that  
6 the true positive rate is higher for the first-time  
7 donors. Was that borne out with the DNA data? Did  
8 you stratify that? I may have missed it.

9 DR. STRAMER: No. I haven't done that  
10 yet, but it's certainly interesting.

11 DR. EPSTEIN: So it's an interesting  
12 question.

13 DR. STRAMER: That's right.

14 DR. EPSTEIN: Okay.

15 DR. STRAMER: That's right.

16 DR. EPSTEIN: And then, the second  
17 question that I have -- I've noted that in your  
18 histogram, where you showed the viral loads for --

19 DR. STRAMER: Yes.

20 DR. EPSTEIN: -- the HBsAg negative  
21 population and the HBsAg positive population, the  
22 histogram is bimodal for --

23 DR. STRAMER: Yes.

24 DR. EPSTEIN: -- viral load and antigen  
25 positives. And can you comment on whether there's an

1 explanation for that?

2 DR. STRAMER: No. I haven't delved into  
3 -- into that yet, but I noticed the same thing. And  
4 there has to be some explanation for that. I just  
5 haven't had time to -- to determine --

6 DR. EPSTEIN: I was wondering whether that  
7 distinguished maybe acute versus chronic infections,  
8 but --

9 DR. STRAMER: Right. Right, right. I --  
10 yes. But --

11 DR. EPSTEIN: Okay. Thank you.

12 DR. STRAMER: -- early acute when they're  
13 anti-core reactive versus lower level carriers. Yes,  
14 we haven't -- well, we haven't gotten there yet.

15 DR. EPSTEIN: I was just curious.

16 DR. STRAMER: Yes.

17 DR. EPSTEIN: Okay. Thank you.

18 ACTING CHAIRMAN ALLEN: Dr. Strong.

19 DR. STRONG: Just a comment. Most of this  
20 data has been presented with the Ortho anti-core  
21 assay, although, as Sue has said, they have now  
22 shifted back to Abbott for other reasons. But in the  
23 clinical trial with Roche, the repeat reactive rate  
24 with the Abbott assay was about double Ortho's. So I  
25 think their false positive rate is quite a bit higher,

1 and there is still a lot of centers using the Abbott  
2 anti-core. Everybody is waiting for PRISM to be  
3 approved.

4 The question I have, Sue, do you have any  
5 cases in which you have a surface antigen positive  
6 that is negative for core and DNA?

7 DR. STRAMER: Because we haven't tested  
8 the HBsAg only for DNA, I can't answer that question.  
9 We know the HBsAg confirmed positives do distribute  
10 into two clearly definable groups -- those that have  
11 high ST -- just like HIV, those with high STCOs that  
12 confirm strongly in confirmatory tests, and those that  
13 are weakly reactive on EIA screen and don't neutralize  
14 to the same extent as this high EIA population does.

15 So what we would -- what would be  
16 interesting is to know how DNA segregates in those  
17 populations.

18 ACTING CHAIRMAN ALLEN: Okay. From the  
19 floor microphone, would you please introduce yourself  
20 for the Recorder?

21 DR. TABOR: I'm Ed Tabor from FDA. I  
22 think it's important -- by the way, Sue, I'm always  
23 impressed by the scope of your research.

24 I think it's important at this point to  
25 say that the anti-core test was originally developed

1 in the Bureau of Biologics, the forerunner of CBER, by  
2 Drs. Lewellis, Barker, and Dr. Robert Garrity, as a  
3 result of basic untargeted research. And it came out  
4 of this serendipitous observations resulting from an  
5 attempt to see what would happen if you gave cytoxan  
6 to a chronically infected chimpanzee.

7 Now, I state this because in the previous  
8 discussion one of the panel members said something  
9 about the reagents coming from chimpanzees in the  
10 older tests. There's no question that the original  
11 research tests used chimpanzee reagents, but I'm -- I  
12 couldn't be wrong, but I don't recall that any of the  
13 licensed assays use chimpanzee reagents.

14 And I think there have been changes in  
15 this test configuration over the years, but basically  
16 most of the tests have been competitive inhibition  
17 assays, and that's been one of the problems with this  
18 test and particularly with regard to its specificity.

19 DR. STRAMER: May I add something to Dr.  
20 Tabor's comment? The two tests used -- one is a  
21 competitive inhibition test, and the other is a direct  
22 anti-globulin test. And the anti-globulin test is the  
23 test with the improved specificity.

24 ACTING CHAIRMAN ALLEN: Thank you for that  
25 historical perspective. I think too often we don't

1 spend enough time looking back at the history of some  
2 of these issues, and that was important.

3 Yes, Dr. Kleinman.

4 DR. KLEINMAN: Steve Kleinman. I just  
5 wanted to comment on one of the issues raised by the  
6 panel member about what are the deferral policies  
7 currently for anti-core positive donors. And we heard  
8 the ARC deferral policy, which is to follow the FDA  
9 guidelines and defer after two times and notify the  
10 donor after one time. But there's great variability  
11 in what other blood centers do.

12 Many blood centers will defer the donor,  
13 or have up until now, deferred the donor after a first  
14 time anti-core, basically because they haven't thought  
15 that it was worthwhile to allow people to come back a  
16 second time.

17 Secondly, some blood centers have actually  
18 on their own decided to, in addition to doing the  
19 anti-core assay test of record, they would do a second  
20 manufacturers' anti-core assay and/or an anti-HBS  
21 assay, and the notify donors based on several  
22 different results and stratify their notification  
23 message to say there's a greater probability that you  
24 may have been infected, that this is a real result, or  
25 there's a greater possibility that you're a false

1 positive.

2 So we don't have uniformity of practice in  
3 terms of how we implement donor deferral for anti-core  
4 or how we actually go about notifying persons.

5 DR. HOLLINGER: I like what Sue does when  
6 she -- on some of her slides will show a quotation  
7 around anti-core only, just to make it clear that many  
8 of these have not been tested for anti-HBS, anti-HBE,  
9 or other hepatitis B markers. And I think that's a  
10 good way of doing it, so that we're not thinking that  
11 anti-core only means just nothing else there.

12 DR. BUSCH: Hi, Sue. Just a question.  
13 The increasing rate of DNA in --

14 ACTING CHAIRMAN ALLEN: Would you  
15 introduce yourself, please?

16 DR. BUSCH: Sorry. Mike Busch from Blood  
17 Systems. In your recent data compared to the earlier,  
18 could that relate to the anti-core test that was  
19 employed? Was your earlier work with the Abbott in  
20 the more recent drive for --

21 DR. STRAMER: No. The entire data set, as  
22 I showed, since 1995 we have been using the anti-core  
23 Ortho test, actually since earlier than that. So the  
24 second or third slide I showed with the anti-core rate  
25 shows over nine years of anti-core Ortho repeat

1 reactivity. And the entire data set also includes  
2 Abbott HBsAg. It was post our conversion from  
3 Ortho 3.

4 ACTING CHAIRMAN ALLEN: Dr. Strong.

5 DR. STRONG: I might just add to the  
6 complexity of what Dr. Kleinman just mentioned that  
7 there is also some centers that are doing DNA. So it  
8 really goes all over the map.

9 DR. STRAMER: Right. That's why I mention  
10 there's no standardized confirmatory algorithm. And  
11 you seconded it and thirded it.

12 ACTING CHAIRMAN ALLEN: Dr. Schreiber.

13 DR. SCHREIBER: Sue, have you made any  
14 estimate of what the capture would be of reentry of  
15 donors, deferred donors? I did just a back-of-the  
16 envelope and it doesn't seem to me that it would be  
17 very big based on the million donors that seem to be  
18 deferred over a 17-year period. It seems to me that  
19 you'd only get somewhere around 100,000 units a year  
20 maximum from -- if you reinstate donors, or less.

21 DR. STRAMER: Well, I think you have to  
22 look at reentry, generically, really has two purposes  
23 -- one, to allow donors who truly believe that they  
24 want to give to have that ability to give; and,  
25 secondly, but of more frequently less consequence, is

1 an increase to the blood supply, because we're  
2 reentering donors.

3 The yield of reentry for any marker is not  
4 high, and certainly is -- if we went back to 1986, to  
5 those donors we initially deferred because of corzine,  
6 you know, one, would we even be able to contact them?  
7 And after 18 years, you know, they'd look at us cross-  
8 eyed. You've finally done something about our anti-  
9 core false positivity? That's not a very good message  
10 from the blood centers.

11 So it really is proportionate to the  
12 amount of time, or inversely proportionate to the  
13 amount of time that the donor has been deferred. So  
14 we likely would start, as we have with other reentry  
15 protocols with the most recent deferrals and work our  
16 way backwards.

17 But you're right, George. Any -- you  
18 know, one of these reentry have huge yields, but just  
19 the ability to do it for those donors. And if any  
20 marker we do reentry for, certainly anti-core has the  
21 greatest catchment.

22 Okay. Thank you.

23 DR. STRONG: I'd like to say "only 100,000  
24 donors." For a blood center, that's a lot of donors.

25 DR. SCHREIBER: But then you have to

1 divide by three, because her rate was for three years.

2 DR. STRONG: But you'll also notice that  
3 in her data set she has some donors that donated 57  
4 times. Those are the ones -- those dedicated donors  
5 are the ones we'd like to have back.

6 DR. STRAMER: Well, it's an emotional  
7 issue more than anything. I mean, probably more than  
8 anything else.

9 ACTING CHAIRMAN ALLEN: Thank you.

10 We'll move on to our next presentation in  
11 this section, which is studies of deferred donors.  
12 Thomas Clement from Roche Diagnostics.

13 DR. HERMAN: Okay. I'm Steve Herman. I'm  
14 not Tom Clement. And I'm going to speak about a small  
15 study we did to look at the potential application of  
16 the COBAS AmpliScreen HBV assay, which is currently  
17 under review, and the reentry algorithm for donors  
18 deferred for anti-core reactivity. And this study was  
19 conducted by Guy Tegmeier at the Community Blood  
20 Center of Greater Kansas City, with Yungfin Yang and  
21 Jim Glarda from Roche.

22 So Dr. Kaplan reviewed the history, so  
23 I'll just go through it very quickly. The anti-core  
24 antibody test was introduced in the United States in  
25 1987, and, in 1991, the test was licensed for blood

1 screening. And over the past 16 years it's estimated  
2 that the components from up to one and a half to two  
3 million donations have been discarded due to anti-core  
4 reactivity. And testing -- testing with the second  
5 license test has shown that a large fraction of them  
6 are likely to be false positive.

7 So what are the -- there's a reservoir of  
8 donors that exist that could be reentered if there was  
9 an algorithm. And with the licensed assay of greater  
10 specificity, and the availability of sensitive nucleic  
11 acid amplification tests, the tools for reentry  
12 algorithm may now be available, or may soon be  
13 available I should say.

14 So here's the current algorithm for how  
15 donor and product management decisions are made with  
16 regards to anti-core reactivity. Donations tested for  
17 anti-core antibody, and if the donation is repeatedly  
18 reactive -- and it's the first time that that has been  
19 observed -- the products are discarded, but the donor  
20 remains eligible for repeat donation.

21 If the donor comes back and is again  
22 repeat reactive for anti-core antibody, the products  
23 are discarded and the donor is deferred. And the  
24 challenge is to identify a reentry algorithm that  
25 maximizes the recovery of those donors without posing