

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

NONPRESCRIPTION DRUGS ADVISORY COMMITTEE
IN JOINT SESSION WITH THE DERMATOLOGIC
AND OPHTHALMIC DRUGS ADVISORY COMMITTEE

Thursday, May 6, 2004

8:00 a.m.

Advisors and Consultants Staff Conference Room
5630 Fishers Lane
Rockville, Maryland

PARTICIPANTS

Louis R. Cantilena, Jr., M.D., Ph.D., Chair
LCDR Dornette Spell-LeSane, MHA, NP-C, Executive
Secretary

DERMATOLOGIC AND OPHTHALMIC DRUGS ADVISORY
COMMITTEE MEMBERS

Roselyn E. Epps, M.D.
Robert Katz, M.D.
Paula Knudson (Consumer Rep)
Peter A. Kresel, M.B.A. (Industry Rep)
Sharon S. Raimer, M.D.
Eileen W. Ringel, M.D.
Jimmy D. Schmidt, M.D.
Thomas R. Ten Have, Ph.D.
Elizabeth S. Whitmore, M.D.
Michael G. Wilkerson, M.D.

NONPRESCRIPTION DRUGS ADVISORY COMMITTEE MEMBERS

Michael C. Alfano, D.M.D., Ph.D.
(Industry Rep)
Neal L. Benowitz, M.D.
Leslie Clapp, M.D.
Frank F. Davidoff, M.D.
Jack E. Fincham, Ph.D.
Y.W. Francis Lam, Pharm.D.
Sonia Patten, Ph.D. (Consumer Rep)
Alastair Wood, M.D.

CONSULTANTS (VOTING)

Alan Bisno, M.D.
Mahmoud Ghannoum, M.Sc., Ph.D.

FDA

Jonca Bull, M.D.
Charles Ganley, M.D.
Jonathan Wilkin, M.D.

C O N T E N T S

	PAGE
Call to Order and Introductions: Louis R. Cantilena, Jr., M.D., Ph.D.	5
Conflict of Interest Statement: LCDR Dornette Spell-LeSane, MHA, NP-C	8
Welcome and Introductory Remarks: Charles Ganley, M.D.	11
Efficacy and Labeling Issues for the Over-the-Counter Drug Products Used in Treatment of tinea pedis in Patients 12 years of Age and Over	
FDA Presentation	
Natural History of tinea pedis and Dermatophyte Infections: Joseph Porres, M.D., Ph.D.	12
Study Design and Efficacy Results for tinea pedis Clinical Trials (Rx and OTC): Kathleen Fritsch, Ph.D.	44
History and Overview of OTC Topical Antifungal Drug Products Monograph: Houda Mahayni, Ph.D.	67
Topical Antifungal Drug Product Labeling: Daiva Shetty, M.D.	94
Infectious Disease Complications of tinea pedis: Alan Bisno, M.D.	127
Microbiology and Dermatophyte Resistance Related to the Treatment of tinea pedis: Mahmoud Ghannoum, M.Sc., Ph.D.	154
Committee Discussion	178

C O N T E N T S (Continued)

Open Public Hearing

Consumer Healthcare Products Association:

Doug Bierer, Ph.D.	193
Boni E. Elewski, M.D.	194
Doug Bierer, Ph.D.	214

Schering-Plough:

John Clayton, M.D.	220
--------------------	-----

Novartis:

Helmut H. Albrecht, M.D., M.S., FFPM	231
--------------------------------------	-----

Committee Discussion	299
----------------------	-----

1 P R O C E E D I N G S

2 Call to Order and Introductions

3 DR. CANTILENA: Good morning. I am Louis
4 Cantilena. I am Director of the Division of
5 Clinical Pharmacology and Medical Toxicology at the
6 Uniformed Services University of the Health
7 Sciences in Bethesda, Maryland. I am going to be
8 chairing this joint session of the Nonprescription
9 Drugs Advisory Committee and the Dermatologic and
10 Ophthalmic Drugs Advisory Committee held here in
11 Rockville.

12 Before we get started with the agenda and
13 the conflict of interest statement, I would like to
14 go around the room and have everyone introduce
15 themselves and state their affiliation. We can
16 start to my right since there are more filled seats
17 to the right than left.

18 DR. RINGEL: I am Dr. Eileen Ringel. I am
19 a dermatologist in Waterville, Maine and loosely
20 affiliated with Mary Hitchcock Medical Center.

21 DR. LAM: Francis Lam from the University
22 of Texas of Texas Health Science Center at San

1 Antonio, a member of NDAC.

2 DR. PATTEN: Sonia Patten. I am consumer
3 representative on NDAC. I am an anthropologist on
4 faculty at Macalester College in St. Paul,
5 Minnesota.

6 DR. WILKERSON: Michael Wilkerson, Tulsa,
7 Oklahoma, Hillcrest Healthcare Systems.

8 DR. RAIMER: Sharon Raimer, dermatologist,
9 University of Texas in Galveston.

10 DR. EPPS: Roselyn Epps, Chief, Division
11 of Dermatology, Children's National Medical Center,
12 Washington, D.C.

13 DR. BENOWITZ: I am Neal Benowitz from
14 U.C., San Francisco, internal medicine, clinical
15 pharmacology, medical toxicology, and on the
16 Nonprescription Drug Committee.

17 MS. KNUDSON: Paula Knudson on the
18 Dermatology Committee as the community
19 representative. I am an IRB administrator.

20 MR. KRESEL: I am Peter A. Kresel, Senior
21 Vice President of Global Regulatory Affairs with
22 Allergan in Irvine, California. I am the industry

1 representative for the Dermatologic and Ophthalmic
2 Drugs Advisory Committee.

3 DR. ALFANO: I am Michael C. Alfano, Dean,
4 College of Dentistry at New York University.

5 DR. TEN HAVE: Tom Ten Have, biostatistics
6 and epidemiology at the University of Pennsylvania.

7 DR. WOOD: I am Alastair Wood from
8 Vanderbilt.

9 DR. GANLEY: I am Charlie Ganley, Director
10 of Over-the-Counter Drugs at FDA.

11 DR. WILKIN: I am Jonathan Wilkin,
12 Director of the Division of Dermatologic and Dental
13 Drug Products, FDA.

14 DR. KATZ: I am Robert Katz,
15 dermatologist, Rockville, Maryland and Clinical
16 Assistant Professor of Medicine at Georgetown. I
17 am part of the FDA Advisory Committee.

18 DR. SCHMIDT: I am Jimmy Schmidt from
19 Houston, Texas.

20 DR. DAVIDOFF: I am Frank Davidoff. I am
21 on NDAC. I am an internist and Editor Emeritus of
22 the Annals of Internal Medicine.

1 DR. WHITMORE: Beth Whitmore. I am a
2 dermatologist in private practice, Wheaton,
3 Illinois.

4 LCDR SPELL-LESANE: Dornette Spell-Lesane,
5 Acting Executive Secretary for NDAC.

6 DR. CANTILENA: Did we miss anyone?
7 Go ahead, Dr. Bisno.

8 DR. BISNO: I am Alan Bisno, Professor
9 Emeritus of Internal Medicine, University of Miami,
10 School of Medicine.

11 DR. CANTILENA: Thank you.

12 Dornette will read the conflict of
13 interest statement for this meeting.

14 Conflict of Interest Statement

15 LCDR SPELL-LESANE: Good morning. The
16 following announcement addresses the issue of
17 conflict of interest with respect to this meeting
18 and is made a part of the record to preclude even
19 the appearance of such at this meeting.

20 Based on the agenda, it has been
21 determined that the topics of today's meeting are
22 issues of broad applicability and there are no

1 products being approved at this meeting. Unlike
2 issues before a committee in which a particular
3 product is discussed, issues of broader
4 applicability involve many industrial sponsors and
5 academic institutions.

6 All Special Government Employees have been
7 screened for their financial interests as they may
8 apply to the general topics at hand. To determine
9 if any conflict of interest existed, the Agency has
10 reviewed the agenda and all relevant financial
11 interests reported by the meeting participants.

12 The Food and Drug Administration has
13 granted general matters waivers to the Special
14 Government Employees participating in this meeting
15 who require a waiver under Title 18, United States
16 Code, Section 208.

17 A copy of the waiver statements may be
18 obtained by submitting a written request to the
19 Agency's Freedom of Information Office, Room 12A-30
20 of the Parklawn Building.

21 Because general topics impact so many
22 entities, it is not prudent to recite all potential

1 conflicts of interest as they apply to each member,
2 consultant, and guest speaker.

3 FDA acknowledges that there may be
4 potential conflicts of interest, but because of the
5 general nature of the discussion before the
6 committee, these potential conflicts are mitigated.

7 With respect to FDA's invited industry
8 representatives, we would like to disclose that Mr.
9 Peter Kresel and Dr. Michael Alfano are
10 participating in this meeting as industry
11 representatives acting on behalf of regulated
12 industry. Mr. Kresel is employed by Allergan, Dr.
13 Alfano is the Dean of College of Dentistry at New
14 York University.

15 In the event that the discussions involve
16 any other products or firms not already on the
17 agenda for which FDA participants have a financial
18 interest, the participants' involvement and their
19 exclusion will be noted for the record.

20 With respect to all other participants, we
21 ask in the interest of fairness that they address
22 any current or previous financial involvement with

1 any firm whose product they may wish to comment
2 upon.

3 Thank you.

4 DR. CANTILENA: Thank you, Dornette.

5 Now we will have our kickoff from Dr.

6 Charlie Ganley of FDA.

7 Welcome and Introductory Comments

8 DR. GANLEY: Thank you. I am just going

9 to say a few words.

10 First, I wanted to thank the members of
11 the Nonprescription Drugs Advisory Committee and
12 the Dermatologic and Ophthalmic Drugs Advisory
13 Committee for participating in this discussion.

14 Today, we are going to talk about tinea
15 pedis. It is not a high profile disease, but it
16 does affect millions of people in the United States
17 each year, and it is important to those individuals
18 who have the disease.

19 So, we are looking forward to the
20 discussion today. I think the executive summary
21 and the questions provide you with some of the
22 concerns we have, the current products, and the

1 current development programs that are going on
2 right now.

3 I think John Wilkin is going to talk a
4 little later, prior to answering the questions
5 about some of the issues, so I think we ought to
6 just start with the FDA presentations.

7 DR. CANTILENA: Thank you, Dr. Ganley.
8 For the members of the committee, your blue folder
9 in front of you has slides for all FDA speakers
10 except for the last person, so as soon as we get
11 those, we will hand those out to you.

12 We would like to then start. Dr. Porres
13 from FDA will be the first FDA speaker, and he will
14 then be followed by four other speakers.

15 FDA Presentation
16 Natural History of Tinea Pedis and
17 Dermatophyte Infections

18 DR. PORRES: I am Joseph Porres, a medical
19 officer in the Division of Dermatological and
20 Dental Drug Products. I don't suppose that is a
21 conflict of interest for this presentation.

22 [Slide.]

1 I would like to start by sharing with you
2 a few points about the natural history of tinea
3 pedis. Later on, I would also like to share some
4 points with you about clinical trials for tinea
5 pedis, just to set the tone.

6 In the first part, we talk about natural
7 history, and I will cover the types of clinical
8 presentations for tinea pedis, dermatophyte
9 species, which most often cause this infection, the
10 so-called dermatomycosis syndrome, some of the
11 factors which may predispose someone to develop
12 tinea pedis, factors that complicate tinea pedis
13 and complications that may develop from tinea
14 pedis.

15 I will try to give you a brief outlook of
16 epidemiology, and will talk about recurrence, some
17 people who have been treated. We talk about
18 diagnosis of tinea pedis and a little bit about
19 treatment.

20 [Slide.]

21 There are two main anatomic subtypes of
22 tinea pedis - interdigital, which some people refer

1 to as intertriginous, in between the toes, and
2 plantar.

3 Within the plantar, there are two distinct
4 types - moccasin and vesicobullous.

5 Let's talk a little bit more about each
6 one of these.

7 The interdigital often comes with
8 pruritus, erythema, some scaling, occasionally
9 fissure and maceration particularly if there has
10 been overgrowth with some bacterial or candida
11 species.

12 The moccasin type, which is the one
13 affecting the sides of the foot, tends to be
14 dry-looking and scaling, sometimes there may be
15 pruritus, sometimes there may be some erythema.

16 The vesicobullous usually affects the
17 plantar of the foot or the arch of the foot, and
18 the vesicles is the main component. Oftentimes,
19 there may be itching, scaling, and erythema.

20 Most patients seem to present with a
21 combination of some of these features. It is rare
22 to find someone who has just one pure type.

1 Then, we have the term "athlete's foot,"
2 which is sort of a generic term that the layman
3 uses when they refer to just about any type of
4 fungus infection on the foot. It is a loose term,
5 it is hard to define. It is not really a medical
6 term.

7 [Slide.]

8 Now, about the organisms that tend to
9 cause these infections. The most common is
10 Trichophyton rubrum, which is the predominant
11 organism in this country since World War II, and it
12 tends to account for about anywhere from 60 to 80
13 percent of cases of tinea pedis, mainly tends to
14 cause the plantar, moccasin type.

15 Occasionally, there are some teeny tiny
16 blisters on the plantar of the foot that quickly
17 dry up and leave a collarette of scales, which has
18 been described as very typical for Trichophyton
19 rubrum.

20 It may spread to the nail and then
21 particularly is responsible for cases of distal
22 subungual onychomycosis. It can also spread to

1 other body parts, which we will see in a minute.

2 The second most common species of
3 dermatophyte is *Trichophyton mentagrophytes*,
4 usually responsible for about 15 percent of cases.
5 It tends to be causative for the vesicular type,
6 and it may also spread to the nails, but it tends
7 to mostly cause superficial white nail involvement.

8 Finally, we have *Epidermophyton floccosum*,
9 which tends to affect about 7 percent of the cases,
10 and then there are other species, which are rare,
11 also recovered in cultures of larger studies.

12 [Slide.]

13 This is a typical representation of an
14 interdigital tinea pedis.

15 [Slide.]

16 Here we have the tinea plantaris with the
17 tiny collarettes. These were vesicles that broke
18 readily, and as you can see, clearly resembles
19 dryness of the foot. Many patients will look at
20 these and think it is just dryness, and even some
21 physicians may consider this dryness and not treat
22 it. Rarely, it will be symptomatic.

1 [Slide.]

2 Here we have the vesicular type, more
3 abrupt, more acute, more likely to have symptoms.

4 [Slide.]

5 This is the typical moccasin type, which
6 again many patients will look at this and think,
7 oh, my God, my feet are very dry, and they won't
8 even suspect they have a fungus. Oftentimes, it
9 will itch, and even some physicians may call this
10 just dry skin.

11 [Slide.]

12 Now, let's talk about the dermatomycosis
13 syndrome described for *Trichophyton rubrum*. The
14 hallmark is the moccasin type infection, and from
15 here it can spread. There can be spreading between
16 household members back and forth. It can spread
17 directly to the nails or to the interdigital area
18 of the feet.

19 Then, by spreading distally, it can go
20 into the hands and from there to the fingernails.
21 It can go to areas of the body, sometimes it may
22 infect the hair follicles, producing a distinct

1 clinical picture referred to as Majocchi's
2 granuloma.

3 It can go to the groin also, and then it
4 is called tinea cruris. These types of spreading
5 usually occur when we dress and bring our clothes
6 up, passing by the foot, or with towels we may use
7 for different areas of the body.

8 [Slide.]

9 Now, there are some predisposing factors
10 that could be important. It has been said
11 repeatedly that tinea pedis is far more common in
12 closed communities like army barracks and boarding
13 schools, or among people who frequent public baths
14 and swimming pools.

15 It is probably important to have some
16 local trauma for the infection to set in, trauma
17 like you can develop if you go on a long march and
18 your feet are going to be sweaty and hot and
19 occluded by occlusive foot gear, and you may suffer
20 from immersion into water or end up with wet feet
21 just from your own perspiration.

22 If the shoes are very tight fitting, there

1 may be repeated friction and trauma, which also may
2 contribute to set up a portal of infection for the
3 dermatophyte.

4 It has been said that usually, it is
5 important to have a species of organism to be able
6 to cause infection. This was demonstrated in
7 Vietnam, for instance, until they found that it was
8 the hair of rats that was the vehicle for the
9 infection of many of the soldiers with
10 Trichophyton.

11 Again, if you look at a household, it is
12 said, at least in one study, that about 17 percent
13 of the members of the household are likely to have
14 concomitant tinea pedis, and there may be a
15 familial predisposition based on perhaps inadequate
16 immunological response that may facilitate these
17 patients to develop a chronic infection.

18 [Slide.]

19 Now, tinea pedis may become complicated if
20 the patient is either immunosuppressed or has any
21 atopic constitution, or is diabetic, or has
22 compromised circulation, or there is repeated

1 trauma, again ill-fitting shoes or tight-fitting
2 shoes, and many of these things are more likely to
3 appear among the geriatric population.

4 [Slide.]

5 One interesting complication from tinea
6 pedis could be cellulitis. It is probably not
7 exceedingly common, but among people who do have
8 cellulitis of the lower extremities, a great number
9 of them seem to have a pre-existing tinea pedis.
10 This might have been unrecognized for a long time
11 by patient and physician.

12 Treatment may not have been given, or, if
13 given, maybe was used too short a period of time,
14 or perhaps the nail was not treated and reinfection
15 kept taking place, or maybe it was a diabetic
16 patient who had decreased sensory perception and
17 would not recognize the pruritus that otherwise may
18 alert one of having the infection.

19 [Slide.]

20 Let's talk a little bit about
21 epidemiology. A number of studies have rated the
22 degree of infection among the population at large,

1 and do find rates as low as 15 percent and as high
2 as 70 percent.

3 It has been said that among people who
4 attend the general clinic, if one were to look at
5 their feet, about 40 percent of them tend to have
6 tinea pedis, oftentimes unsuspected by the patient.

7 However, among the patients who do go to a
8 doctor to seek treatment for the tinea pedis,
9 interestingly, many of them do have already nail
10 involvement with a fungus. There are a number of
11 cases that remain undiagnosed for a long time.

12 Interestingly, dermatophytes have been
13 isolated from the feet of normal individuals in
14 varying rates. They have been isolated from public
15 showers, from swimming pools, and from shoes and
16 socks of affected individuals.

17 [Slide.]

18 Now, what happens to a person who has been
19 treated afterwards? It has been very hard to find
20 some data that I can share with you about this.

21 Luckily, I found one set of two papers
22 which look at the same population, one by

1 Bergstresser, where they treated a number of people
2 with 200 fungals, twice a day, either for one week
3 or for four weeks, and then, a second paper by
4 Elewski and others, where they look at the same
5 patients 15 to 18 months later.

6 [Slide.]

7 So, let me show you what they found.
8 There were 193 evaluable patients with interdigital
9 tinea pedis. Again, the treatment was twice a day,
10 and it was either terbinafine cream in this case or
11 clotrimazole cream, and there were 2 ounces for
12 each drug treatment, one week or four weeks.

13 They looked at it 15 to 18 months later,
14 and for this particular part of the study, they
15 only reported the mycology cure rates.

16 [Slide.]

17 There were 193 patients evaluable in the
18 study. Of these, 130 were declared mycology cure
19 at the end of 12 weeks of the study. Of these,
20 they were able to follow up 93 during the 15 to 18
21 months of the second part of the study, and, of
22 these 93, 44 felt that they needed more treatments,

1 so we consider this either insufficiently treated,
2 or a relapse, or a reinfection, and there is really
3 no way at this point to distinguish which one of
4 the three possibilities we are dealing with here.

5 Then, they looked at the patients who
6 didn't feel they had need for more treatment.
7 There was it appeared to be cure, and they took
8 cultures. Of these 49, 24 developed a positive
9 culture anyway.

10 As a sideline, of these 24, 8 of them had
11 an organism that this time was identified with a
12 different name than the one given at baseline. It
13 is hard to tell whether one of the two might have
14 been misdiagnosed or whether this actually
15 represents infection with a different organism.

16 So, all together, we see that there were
17 78 percent of the people who had originally been
18 called "mycology cure," who relapsed or reinfected
19 at some time after the treatment.

20 [Slide.]

21 Now, let's go a little bit into how we
22 make a diagnosis of tinea pedis. The main part

1 here is clinical. We look at the signs and symptoms
2 and try to recognize what may be part of the
3 typical picture.

4 It can be aided by mycology, which
5 consists of a direct microscopic examination,
6 usually referred to as KOH, and of which there are
7 many variants, and then the culture.

8 The nice thing about the KOH is that it
9 can provide a quick diagnosis, confirming the
10 clinical impression, and therefore it would help to
11 avoid delaying giving the indicated treatment or
12 avoid prescribing a treatment that may not be
13 appropriate.

14 [Slide.]

15 Now, if a physician wants to treat tinea
16 pedis and goes to the literature to see how to
17 treat it, you will find information similar to
18 this. This is just one example.

19 I look at this current textbook,
20 "Treatment of Skin Disease," by Lebohl, published
21 by Mosby in 2003, and they report results for
22 terbinafine from different studies, clotrimazole,

1 miconazole, and a couple of others.

2 Oftentimes, they give the results for
3 mycology cure and other times they just say cure
4 rates and do not specify what kind of cure it was,
5 but looking at the numbers here in the right
6 column, I suspect that they are mostly referring to
7 mycology cures.

8 Sometimes they tell us how long were those
9 patients treated that reached these rate numbers,
10 and oftentimes they will tell us the dosage that
11 was used, but sometimes they don't tell us. They
12 just say, well, terbinafine 97 percent cures, and
13 we don't know what this means. It is unfortunate
14 that this information is so scant that it is hard
15 for the clinician to really figure out what these
16 numbers represent.

17 I would like you to sort of keep an idea
18 in mind about the magnitude of these rates when the
19 statistician brings data from the studies that she
20 had reviewed, just keep this in mind.

21 [Slide.]

22 Now, let's talk a little bit about

1 clinical trials for tinea pedis. I would like to
2 focus a little bit on dose ranging studies and on
3 clinical trials for safety and efficacy.

4 [Slide.]

5 Dose ranging studies for tinea pedis are
6 particularly always recommended by the Agency when
7 drug developers come here for meetings and
8 orientation. Unfortunately, most of the time this
9 recommendation is ignored. This is too bad because
10 with dose ranging studies, it could be helpful to
11 try to determine what is the most interesting dose
12 that may have the best safety and efficacy profile.

13 Now, in dose ranging studies, usually,
14 there are three elements that can be studied: drug
15 strength, drug concentration, the frequency of
16 application, and the duration of treatment.

17 We have some limitations here. Drug
18 strength, sometimes there are certain higher doses
19 that we cannot study either because they may have
20 an unsafe profile or for chemistry reasons, perhaps
21 the drug reaches maximum solubility and we cannot
22 study any concentrations above that.

1 Now, frequency of application also has
2 some limitations. We can expect compliance of
3 patients to reach up to a certain limit. If we
4 tell a patient to apply something once a day or
5 twice a day, they are likely to do it. If we tell
6 them to use it 74 times a day, they are not likely
7 to do it, so studying things more than twice a day
8 probably is not very practical.

9 So, we are left with duration of treatment
10 which is where we have the greatest latitude,
11 however, marketing pressures seem to make drug
12 developers aim for ever decreasing durations of
13 treatment, perhaps so they can advertise that a
14 product can kill the organism in fewer days than
15 the other competing product. Sometimes these may
16 be at the expense of efficacy.

17 [Slide.]

18 Now, in clinical safety and efficacy
19 trials, I would like to focus about how do we
20 assess results of these trials and what the
21 outcomes from these assessments will be.

22 [Slide.]

1 What we assess or what has been assessed
2 routinely is mycology, again direct microscopic
3 examination and mycology culture, and clinical, a
4 variety of signs and symptoms, and there are
5 studies which have just looked at a couple of
6 these, others that look at many.

7 Others make a composite of this, others
8 may use what is called the investigator's global
9 assessment, which is kind of like a comprehensive
10 picture of what the disease looks like at that
11 particular point.

12 [Slide.]

13 The outcomes from these assessments are
14 usually mycology cure, which involves having a
15 negative KOH in a negative culture. We don't like
16 this term very much at the FDA. We would like to
17 refer to it as negative culture because perhaps it
18 is not really a cure in many cases unless it is
19 accompanied by a clinical cure, as well.

20 Then, we have clinical outcomes. One is
21 effective treatment, which requires not only
22 negative mycology or mycology cure, but also

1 absence of symptoms and at most, some residual
2 signs remaining.

3 Here, I should introduce or remind you of
4 a concept of skin turnover. The epidermis has a
5 maximum speed at which it can turn over its cells,
6 which is about four weeks, so you could have a
7 patient who is actually a cure, and may still have
8 some residual erythema or some residual scaling.

9 However, after these four weeks, we should
10 be expecting that these residual signs should not
11 be present in a patient who is a cure.

12 Then, we go into complete cure, which is
13 the gold standard, where mycology is negative or
14 mycology cure, and there are no signs or symptoms
15 left of the disease.

16 [Slide.]

17 Now, in clinical safety and efficacy
18 studies, oftentimes the inclusion/exclusion
19 criteria that come with the protocols do not seem
20 to mimic the population which could be expected to
21 actually use these products in the real world once
22 the product is approved.

1 For instance, they tend to include only
2 people who are very healthy and who perhaps have
3 disease limited to just a small area, such as toe
4 webs, and exclude more difficult cases to treat
5 that might reduce their overall efficacy rate, so
6 they exclude people with onychomycosis or who have
7 the moccasin type, which they apparently think is
8 harder to treat, and they will exclude people who
9 are diabetic or immunosuppressed, or who may have
10 compromised circulation, but all of these patients
11 would be expected, they will be users of the
12 product later on.

13 At this point, I would like to introduce
14 Dr. Kathleen Fritsch, who will give you a summary
15 of her review of some studies.

16 Thank you for your attention.

17 DR. GANLEY: If anyone had questions for
18 Dr. Porres now, they could probably ask them.

19 DR. CANTILENA: We actually have time
20 slotted, actually, plenty of time before lunch, but
21 I guess if there are specific questions, perhaps we
22 have time for one or two specific questions for Dr.

1 Porres before we go to the next speaker.

2 Yes, Dr. Ten Have.

3 DR. TEN HAVE: I have a question regarding
4 the definition of the efficacy rates on page 9 that
5 were reported. I missed the definition. Could you
6 just repeat it?

7 DR. PORRES: In the handout, page 9?

8 DR. TEN HAVE: Handout, page 9.

9 DR. PORRES: The question, if I
10 understood, is how is cure defined here? Okay.

11 DR. TEN HAVE: How are the efficacy rates
12 defined based on a cure definition?

13 DR. PORRES: I am glad you asked that
14 question, because the clinician, looking at this
15 information in textbooks, should be asking the same
16 question. The point is that when you look at the
17 sources in the literature, they don't tell you
18 anything. They just give you some rates and hope
19 that you will think that these products are all
20 wonderful, and they don't tell you how these
21 numbers are derived, and you are lost.

22 So, that is precisely the point I was

1 trying to make.

2 DR. CANTILENA: So, the answer is they are
3 really not well defined.

4 DR. PORRES: They don't tell us, they just
5 give us a summary.

6 DR. CANTILENA: Dr. Wood.

7 DR. WOOD: My question may be an extension
8 of the last one. On the last slide, you talk about
9 the exclusion criteria that include harder cases to
10 treat. I presume you mean by that, that the
11 outcome is poorer, is that right, that the cure
12 rate is lower?

13 DR. PORRES: The people who may be harder
14 to treat--

15 DR. WOOD: I understand that is what it
16 says, but do you mean by that, that they are harder
17 to treat because the efficacy is lower in that
18 group? I mean diabetics aren't harder to treat per
19 se, and they must either have poorer outcome, or do
20 you mean that diabetics can't rub the stuff on
21 their foot, you know, what do you mean by that?

22 Just to finish the question, I assume what

1 is meant there is that the outcome is poorer in
2 these patients, what are the data to support that?

3 DR. PORRES: I think you will have to ask
4 the drug developers why do they want to exclude
5 those patients in the first place. They don't give
6 us a rationale, they just want to exclude them
7 maybe to keep the study neater, and I am not aware
8 of any data that actually shows whether they are
9 easier to treat or more difficult to treat, but
10 that is the way they design their protocols.

11 Now, the moccasin type--

12 DR. WOOD: So, the slide says you excluded
13 harder cases.

14 DR. PORRES: Yes.

15 DR. WOOD: Are there data to support them
16 being harder, or is that just--

17 DR. PORRES: They assumed they are going
18 to be harder.

19 DR. WOOD: I see.

20 DR. PORRES: For instance, if there is
21 nail involvement, they may be more prone to have
22 reinfection from the nail if they are not treating

1 the nail at the same time, so they suspect that
2 those are going to complicate the outcomes.

3 DR. WOOD: But you have no data to say
4 that the outcome is poorer in these patients?

5 DR. PORRES: No.

6 DR. WOOD: That is what I am trying to get
7 at.

8 DR. PORRES: We don't have the data.

9 DR. WOOD: It relates directly to the
10 question. That is why I am pushing this part.

11 DR. PORRES: No.

12 DR. CANTILENA: Dr. Fincham.

13 DR. FINCHAM: Dr. Porres, I am assuming
14 that these criteria, either inclusion or exclusion,
15 are set by the manufacturer, there is no
16 constraints on those designs.

17 DR. PORRES: Well, they send us the
18 protocols. We look at them, and sometimes, you
19 know, we make suggestions. We encourage the study
20 of all comers. Sometimes they insist they want to
21 study just a very narrow group, and sometimes we
22 are more influential than others.

1 DR. CANTILENA: A comment from Dr. Wilkin.

2 DR. WILKIN: Actually, you caught it right
3 at the very end, and sometimes they do. We have
4 had some tinea pedis trials where patients with
5 onychomycosis, often the same fungus that is
6 affecting the plantar surface of the foot is also
7 in the nail.

8 We have had some trials like that, so I
9 think it is not all or none, and it is true that we
10 don't know for sure that they are harder, but we
11 sense that there may be some lower efficacy, but we
12 don't have good numbers on that, that is correct.

13 DR. CANTILENA: Dr. Alfano.

14 DR. ALFANO: My question relates to the
15 fact that you spoke about predisposing factors, and
16 you mentioned trauma is regularly associated to get
17 this infection started.

18 What happens with those predisposing
19 factors in the course of the disease, i.e., if the
20 subject doesn't change their tight shoes, do they
21 start with hyperkeratosis from the irritation from
22 the shoes, and is it appropriate to expect that to

1 go away if they don't change their predisposing
2 factors?

3 DR. PORRES: There is really no hard data
4 looking at what happens on a series of cases from
5 the beginning to the end, but this is the general
6 gestalt, the general feel for what is felt, how
7 this disease evolves, and it is felt that these
8 factors are important in either facilitating
9 development of the disease or in making it worse,
10 but there is no hard data that anyone would show if
11 you wear your shoes 10 minutes longer, you are more
12 prone to have disease than if you wear them 10
13 minutes less, but it is felt that usually, that is
14 the case, but there is no hard data for any of
15 this. This is kind of like a field that has
16 developed through the years, that most people seem
17 to agree as a general concept.

18 DR. CANTILENA: Our final question over
19 here. Dr. Benowitz.

20 DR. BENOWITZ: Two questions. The first
21 one, you had said that as many as 70 percent of the
22 general population can have positive cultures.

1 Given that in the recurrent studies, does a
2 persistent positive culture with a clinical
3 response mean that there will be a clinical
4 recurrence?

5 DR. PORRES: Could you rephrase the
6 question again? I am sorry.

7 DR. BENOWITZ: You said that as many as 70
8 percent of the population, assumingly not
9 clinically infected, can have positive cultures.

10 DR. PORRES: No, no, that is not what I
11 said, I am sorry. What I said is that some people,
12 published reports looking at the incidence of tinea
13 pedis in a particular population like maybe in
14 India or Canada, or somewhere, and they report that
15 they found 70 percent of the people at large had
16 the disease.

17 DR. BENOWITZ: Oh, had the clinical
18 infection. I guess the other part is still valid.

19 If you have a positive culture, but you
20 have a clinical response, does that always
21 translate into a later clinical recurrence?

22 DR. PORRES: If you have--

1 DR. BENOWITZ: You have been treated, you
2 have a clinical response, but you do not have a
3 mycologic response, does that always predict a
4 clinical recurrence?

5 DR. PORRES: Well, if there is clinical
6 cure, you say, but the culture is still positive?

7 DR. BENOWITZ: Yes.

8 DR. PORRES: That would never be called a
9 success by definition, so I don't think anybody has
10 ever looked to see what happened to the patient
11 afterwards. It is just declared a failure, and
12 there is no follow-up.

13 DR. BENOWITZ: Is there any issue of a
14 carrier state, like we see with other infections?
15 Is that an issue here?

16 DR. PORRES: Possibly, there is no hard
17 data, there is contamination with other household
18 members or other school members or other army
19 fellows, you know, but there is really no hard data
20 for any of these things.

21 DR. BENOWITZ: Okay. The second question
22 is if an expert dermatologist is seeing a patient

1 who has these infections, and they are diabetic or
2 they are immunocompromised, would they be treated
3 any differently from any other patient? What is
4 the standard of care for treatment of these more
5 high risk patients?

6 DR. PORRES: The dermatologist would want
7 to make sure that whoever is taking care of the
8 diabetes for that patient would have provided
9 adequate treatment or if they are
10 immunocompromised, that they have the adequate
11 treatment, you know, just as a general feel for my
12 practice, I have seen people who have maybe HIV or
13 something else, and they have tinea, and I can
14 figure they are much harder to treat and the
15 treatment is much, much longer, and oftentimes they
16 stop because they get tired of treating these for
17 months or they stop when they feel better, thinking
18 that maybe they have cured the problem, but it was
19 just a little bit too early, and within a few
20 months they come back with full-blown disease.

21 So, the dermatologist can treat the skin,
22 but usually, we need the concurrence of the other

1 types of physicians who treat the other components
2 like vascular disease or whatever.

3 DR. BENOWITZ: I guess my point is would a
4 dermatologist initiate systemic antifungal therapy
5 rather than try topical therapy first if someone is
6 at high risk?

7 DR. PORRES: Well, that is an interesting
8 question and I didn't want to address it here
9 because we are talking about topical antifungals,
10 but if you look at the textbooks and references on
11 how to treat the disease, there are many who would
12 say that you need to use also systemic treatment
13 for tinea pedis together with topical antifungals.
14 Some still say that.

15 DR. BENOWITZ: I think it is important for
16 us because that really affects labeling for high
17 risk patients. We need to know what patients need
18 to understand about their disease.

19 DR. PORRES: You are absolutely correct,
20 and that is why we are here today.

21 DR. CANTILENA: Thank you, Dr. Benowitz.

22 We have one final comment from Dr.

1 Schmidt, and then, since you work here, Dr. Wilkin,
2 you can have the final, final comment.

3 DR. SCHMIDT: I think at least in Texas, I
4 don't think we really cure these people of any of
5 these things, and I think that moccasin type tinea,
6 if someone has an immunologic defect where they
7 just can't process and kill the T. rubrum, then, in
8 your first slide of the person pulling the toes
9 apart, the little piggies, you know, are too close.

10 I think the mechanical trauma comes first,
11 and then the tinea is secondary, so I think it
12 behooves us to have some like education, you know,
13 for the patients, because unless you can keep the
14 air flowing with Thinsulate socks, spacers, drying
15 agents, powders, changing shoes, wearing wooden
16 shoe trees, you know, there are a million things
17 that you can do, you will never cure these people
18 with this interdigital tinea, never ever in your
19 lifetime.

20 Then, I think, the same way with this
21 moccasin type tinea, I mean I think this stuff is
22 in the environment, and these people are going to

1 get it recurrently, because it seems like people
2 come in during the summer and their fungus flares
3 up, and during the winter, even if you don't treat
4 them, these things tend to clear up.

5 Now, I wanted to comment on this thing of
6 whether we treat people more aggressively when they
7 have problems. It's hard to treat patients who have
8 diabetes or they have recurrent cellulitis.
9 Usually, these people, it comes from the fourth and
10 fifth toe web, you know, from this macerated
11 interdigital tinea is the point of entry, and, yes,
12 I do, I will sometimes treat these people
13 systemically, but I think drying agents and good
14 foot care is probably the most important thing.

15 The same thing with the onychomycosis, you
16 know, just simple things will help this, but I
17 never tell anybody I am going to cure them. I just
18 say, listen, when this stuff comes back, you are
19 just going to treat it again.

20 DR. CANTILENA: Dr. Wilkin.

21 DR. WILKIN: I would like to respond to
22 Dr. Benowitz's question about after treatment, can

1 you still get the dermatophytes, and there is a
2 paper in the Journal of the American Academy of
3 Dermatology, February 1995, Dr. Elewski is the
4 first author, it's a multi-authored publication.

5 Long-term outcome of patients with
6 interdigital tinea pedis, treated with terbinafine
7 or clotrimazole, and one of the points made is that
8 even after successful treatment in the sense that
9 the inflammatory signs and symptoms have gone away,
10 one can still culture the organism.

11 So, I think this is the experience that
12 most dermatologists have, as well, and then I was
13 going to add the part that Dr. Schmidt has already
14 taken care of, you know, the dermatologist, I
15 think, attacks the tropical environment inside the
16 shoe, which is what keeps the fungus going.

17 Also, sometimes the dermatophyte can
18 actually survive on the inside surface of the shoe,
19 so we know that some patients actually, eventually
20 need to get a new pair of shoes, and there is a lot
21 of weekly applications of topical products.

22 Certainly, that is off label, but I know

1 that that is done, a lot of drying powders, and,
2 yes, there is a lot of attention, but I think in
3 general the first approach is topical, but it is
4 with a fairly comprehensive strategy for making it
5 the wrong environment for the dermatophyte.

6 DR. CANTILENA: Thank you. Thank you, Dr.
7 Porres.

8 Dr. Fritsch.

9 Study Design and Efficacy Results for
10 Tinea Pedis Clinical Trials (Rx and OTC)

11 DR. FRITSCH: Good morning. I am Kathleen
12 Fritsch. I am a biostatistician with the Division
13 of Biometrics III. I will be presenting some more
14 background information on the study design for
15 tinea pedis clinical trials, and then I will be
16 presenting some efficacy data from NDA submissions.

17 [Slide.]

18 First, I will be looking at the basic
19 clinical trial design.

20 [Slide.]

21 Generally, these trials are randomized,
22 double-blind, multicenter, vehicle-controlled

1 trials.

2 In the past, there generally have been two
3 indications, the tinea pedis indication, the OTC
4 equivalent of athlete's foot, and these trials will
5 usually evaluate either all comers, with both the
6 plantar and the interdigital variant, or study the
7 subtypes individually, or if they focus their
8 clinical trials primarily on the interdigital type,
9 then, they get a more limited indication of
10 athlete's foot between the toes or interdigital
11 tinea pedis.

12 Most of the development over the last
13 decade has focused on the interdigital variant.
14 Most of the products approved for the full
15 indication were approved more than a decade ago.

16 [Slide.]

17 In terms of patients that are evaluated in
18 these studies, for randomization into the trial and
19 receiving treatment, you need a positive KOH and
20 clinical signs and symptoms.

21 In order to verify that tinea pedis is
22 actually the diagnosis, in order to be analyzed for

1 efficacy, usually, the patients are also required
2 to have a positive baseline culture, however, since
3 it can take up to four weeks to get the results of
4 a culture, the treatment is often completed by the
5 time those baseline culture results are known.

6 However, the solution then is to just
7 analyze for efficacy, what we call the modified
8 intent to treat, or MITT population, those that
9 have positive KOH, positive culture, and the
10 appropriate clinical signs and symptoms.

11 In most clinical trials, we will find that
12 about two-thirds of the patients will end up having
13 a positive baseline culture, and that can have an
14 impact on choosing the sample size for a study.

15 [Slide.]

16 As Dr. Porres mentioned, there are three
17 efficacy endpoints that are analyzed in these
18 clinical trials that involve mycological and
19 clinical outcomes. They are nested within each
20 other in that negative mycology is required for
21 both effective treatment and complete cure.

22 The effective treatment is getting to a

1 mild state and also includes the patients that get
2 to the complete cure state, and the complete cure
3 state is the absence of the signs and symptoms.

4 So, they are nested within each other.

5 [Slide.]

6 Again, to put up the specific definitions
7 for these three endpoints, negative mycology, also
8 referred to as mycological cure, is a negative KOH
9 and culture.

10 An effective treatment also requires the
11 negative mycology and is some sort of a mild state
12 of the disease, the clinical presentation.

13 Generally, we say mild or no signs and no symptoms.
14 From trial to trial, the specific definition for
15 effective treatment does vary.

16 Our recommendation these days is to define
17 it as, at most, mild erythema and scaling, but in
18 the past trials, there may be other ways to define
19 a mild state that have been used. Sometimes
20 effective treatment is designated in the clinical
21 trials as the primary endpoint.

22 Of course, the strongest endpoint is the

1 complete cure, which is the absence of signs and
2 symptoms, negative mycology. This is often the
3 primary endpoint in the clinical trials, and the
4 Agency generally recommends to use complete cure as
5 the primary endpoint.

6 Again, the signs and symptoms that are
7 evaluated usually include erythema, pruritus, and
8 scaling, and may include any of the other signs and
9 symptoms, as well.

10 [Slide.]

11 For the study phases, there is usually a
12 treatment period and a post-treatment follow-up
13 period.

14 Most products have a treatment duration
15 between one and four weeks. Then, the patients are
16 followed for, at a minimum of at least two weeks
17 after treatment. The amount of follow-up will
18 generally depend on the length of treatment.

19 For a one-week product, the treatment
20 period usually is at least five to eight weeks. If
21 the treatment is for four weeks, the follow-up
22 period may be shorter, it may be only two to four

1 weeks. In both cases, this puts the patients at
2 about six to nine weeks after they have started
3 their treatment for when they will be primarily
4 evaluated.

5 [Slide.]

6 Again, the reason for following patients
7 into the post-treatment follow-up period is to
8 allow for the epidermal turnover, as Dr. Porres
9 mentioned, may take at least four weeks, so we may
10 not expect the clearance of signs until some point
11 after treatment has ended, say, at least six weeks
12 after the start of treatment even if the fungus is
13 eradicated earlier.

14 Because of this, there may be a
15 significant time lag in either weeks or possibly
16 months between when treatment ends and when a cure
17 could be assessed.

18 [Slide.]

19 The second part of my presentation will
20 focus in on specific data that have been submitted
21 to the Agency. I will be presenting the efficacy
22 results from selected clinical trials.

1 [Slide.]

2 The clinical trials that I have selected
3 for my presentation come from NDA reviews. The
4 oldest one dates back to 1988, and all of the
5 studies come from vehicle-controlled trials and
6 were in general considered the pivotal trials for a
7 particular drug product.

8 Using these criteria, I have identified
9 nine drug products. They may involve different
10 formulations or treatment regimens, and they
11 represent six different active ingredients, so
12 there are some multiple formulations and treatment
13 regimens.

14 The nine products are roughly split
15 between those that are available OTC and by
16 prescription, and also split between those that are
17 recommended for one week's use and for four weeks'
18 use.

19 Of the nine, seven were designed for the
20 indication of interdigital tinea pedis, and the two
21 oldest ones have the indication for tinea pedis.

22 [Slide.]

1 To take a look at the size of the database
2 that is available for each of these products, I
3 will be presenting the products only by code letter
4 A through I.

5 We see that the products have a database
6 of roughly about 50 patients on an active
7 ingredient up to about 250, and in some cases, we
8 have two trials that were two vehicle-controlled
9 trials, and in some cases, we have one. So, we do
10 have a variety of sample sizes represented for our
11 products here, so A through I.

12 [Slide.]

13 As I move into the displays of the actual
14 data from these trials, I want to make a caveat
15 that these data do not represent head-to-head
16 comparisons of the products, therefore, we cannot
17 make any direct comparisons of relative efficacy
18 from one product to another.

19 Success rates in these trials are greatly
20 influenced by the particular patients that are
21 enrolled in a trial, types of concomitant diseases
22 they may have, whether they have onychomycosis, how

1 severe the baseline clinical signs and symptoms
2 must be could affect the success rates.

3 The specific clinical study procedures,
4 how the samples are collected, who analyzes the
5 skin samples, whether a target lesion is analyzed,
6 whether the whole foot is analyzed, all that can
7 influence the success rate.

8 As I mentioned before, the endpoints are
9 identified differently in a trial, is it a global,
10 is it specific symptoms, what symptoms are
11 evaluated, all of that, how is missing data
12 handled, all that can influence the success rates.

13 So, we will look at this in terms of
14 trying to pick up general trends and patterns that
15 we can.

16 [Slide.]

17 I have got data on the negative mycology,
18 effective treatment, and complete cure rates for
19 the nine products, so we will present those next.

20 [Slide.]

21 This first graph represents the negative
22 mycology. These are the negative mycology rates at

1 end of treatment, so Week 1 for the one-week
2 products, and Week 4 for the four-week products.

3 The orange bars represent the active. We
4 can see what kind of eradication we can expect to
5 find for a one-week treatment. For a one-week
6 treatment, we can see that, for the most part,
7 about 40 to 50 percent of patients will have
8 negative KOH and negative culture by the end of
9 treatment.

10 For the products that are used for four
11 weeks, the negative mycology rate is somewhat
12 higher at Week 4, about 60 to 70 percent of
13 patients will have the negative mycology at the end
14 of treatment.

15 [Slide.]

16 If we go to the primary timepoint that was
17 specified in each particular protocol for the time
18 of assessment, usually, Week 6, 8, or 9, we see
19 that, in general, at this timepoint, patients can
20 get to about 60, 70, or 80 percent negative
21 mycology rates by the primary timepoint for
22 evaluation, so that is about what we can expect for

1 getting rid of the dermatophyte, and it is fairly
2 consistent across the products here.

3 Again, the endpoints that involve the
4 clinical signs and symptoms are based on these
5 patients that achieve negative mycology only.

6 [Slide.]

7 In terms of effective treatment, this will
8 be getting negative KOH in culture and getting down
9 to some sort of a mild state of disease.

10 We see that for Week 1, only a relatively
11 small proportion of patients are actually able to
12 get to the mild state by the time they are finished
13 with their treatment regimen, about 2 percent to 18
14 percent of patients. So, the remaining subjects
15 would have some sort of symptoms beyond just mild
16 erythema and mild scaling remaining by the end of
17 treatment.

18 At four weeks, where they have had a
19 longer time to wait before they stop their
20 treatment, roughly around half of the patients are
21 able to get to a mild state of disease, and the
22 remaining half would still have more severe signs

1 and symptoms remaining.

2 So, that is what a patient may be able to
3 expect to see by the time they are finished with
4 their treatment.

5 [Slide.]

6 By the time we get out to the Week 6 to 9,
7 where the skin may have had a chance to turn over a
8 little bit, we see again about 40, 50, 60 percent
9 of patients will be able to get to the mild state
10 with the negative mycology, and the remaining
11 subjects would have more symptoms remaining.

12 [Slide.]

13 Finally, the gold standard of complete
14 cure where we can completely eradicate these signs
15 and symptoms, as well as the dermatophyte, for one
16 week treatment, as may be expected because of the
17 time for skin turnover, very few patients will be
18 actually completely clear of their signs and
19 symptoms.

20 Almost everybody has some signs or
21 symptoms remaining or dermatophyte remaining by the
22 end of one week of treatment. Even for those that

1 continue to four weeks, roughly, 15 percent of
2 patients are able to get completely rid of their
3 signs and symptoms, and the remainder will have at
4 least something remaining even at the end of four
5 weeks of treatment.

6 [Slide.]

7 To go out to the primary timepoint, again
8 we see about the same value across the board.

9 About 20 percent, maybe 30 percent in some cases,
10 of patients are able to completely get rid of their
11 signs and symptoms six to nine weeks after starting
12 treatment, which is about two to four weeks after
13 treatment for the four-week treatments and five to
14 eight weeks after treatment for the one-week
15 treatments.

16 [Slide.]

17 Next, I will go into some specific tables
18 for the specific signs and symptoms, and I will
19 present this information by visit. The visits that
20 are evaluated in a particular clinical trial depend
21 on the design.

22 I will be presenting data for erythema,

1 scaling, and pruritus, and for this presentation,
2 since signs and symptoms have not been collected in
3 the same way in all trials, I have the data
4 available in the format I want for only two
5 products, a one-week product and a four-week
6 product.

7 [Slide.]

8 We start with erythema. This will be the
9 percentage of subjects that will be clear of their
10 erythema at a particular visit. On the left, Drug
11 Product D is a one-week treatment, and Drug Product
12 F is a four-week treatment.

13 If we take a look at the percentage of
14 subjects, in this case, we started off with about
15 15 percent of subjects were clear of their erythema
16 at baseline in this trial. After one week of
17 treatment, that number improved to about 25
18 percent, and then as we go out in time to the time
19 we may expect to see the skin turnover, by Week 4
20 to 6, we are getting up to about 50 percent.

21 This trial went out to 12 weeks, and by
22 that point, we have about 50 to 60 percent of

1 patients clear of their erythema by the end of the
2 trial, compared to about 30 percent on vehicle.

3 A similar pattern for this four-week
4 treatment. It takes a while for the number of
5 patients to get clear of their erythema. By about
6 Week 4, again we are about 45 percent, 50 percent
7 of patients. So, we can see kind of the time
8 trajectory of how many weeks it takes to start to
9 see clearance of the erythema.

10 [Slide.]

11 Scaling. In this case, all of the
12 subjects that have scaling at baseline, and we see
13 that for the one-week treatment, if we look at the
14 number of patients that are clear of their scaling,
15 about 2 percent of patients were clear of scaling
16 by the end of treatment. Again, not too surprising
17 based on the length of epidermal turnover.

18 By four weeks, we are up to a little over
19 10 percent, and we max out at about 25 percent.
20 So, this may be the rate-limiting factor for why we
21 see little complete clearance is scaling is
22 persistent in the vast majority of patients.

1 Similarly, over here, by about Week 4, we
2 are up to 20 percent, maxing out at about 30
3 percent of patients able to completely clear of
4 their scaling.

5 [Slide.]

6 Finally, for pruritus, we will see that on
7 this drug, for the one-week treatment, we do
8 actually see a substantial bump from baseline to
9 the end of treatment at Week 1, go from about 15
10 percent with no pruritus at baseline to about 45
11 percent by the end of treatment.

12 Again, we do see continued improvement for
13 this product after treatment has ended, getting up
14 to about 75 percent of patients by Week 9 who are
15 clear of their pruritus, and the vehicle rate drops
16 off, although interestingly, during the one week of
17 treatment, the active and the vehicle have the same
18 benefit in terms of pruritus, however, the active
19 patients do continue to improve.

20 Similarly, for Drug Product F, we see
21 continued improvement on the pruritus, in this case
22 during the course of treatment, maxing out at about

1 70 percent again for the number of patients clear
2 of their pruritus.

3 Again, also substantial vehicle benefit,
4 however, the vehicle rate does drop off after
5 treatment.

6 [Slide.]

7 The summary of the efficacy results. From
8 this data, we can see that there is a time lag of
9 several weeks between the end of treatment and when
10 the signs and symptoms may be cleared, particularly
11 for the one-week products where the treatment is
12 stopped before the epidermal turnover can take
13 place.

14 In most cases, patients will have signs
15 and symptoms remaining into the post-treatment
16 period, and rough ballpark figures of the typical
17 cure rates for the various endpoints, complete cure
18 rates are roughly 20, maybe 30 percent for most
19 products.

20 Effective treatment may be about half of
21 the patients. Negative mycology rates, around
22 two-thirds to three-fourths of the patients will be

1 able to get to the negative mycology in the
2 post-treatment period.

3 Thank you.

4 DR. CANTILENA: Thank you, Dr. Fritsch.

5 We have time for a couple of questions for
6 Dr. Fritsch.

7 Dr. Benowitz.

8 DR. BENOWITZ: I am just curious. What is
9 the basis for someone doing a one-week trial versus
10 a four-week trial, are the products different, why
11 is that done?

12 DR. FRITSCH: Basically, it is the
13 sponsor's preference. If they want to market a
14 one-week product and they think they can get the
15 efficacy that they want in one week. We have not
16 seen very much data that compares a product across
17 multiple durations.

18 That is one of the reasons we have been
19 asking for dose ranging. It is usually we either
20 get results for one week, or we get results for
21 four weeks. We have not seen much comparative
22 data, but generally, it is the sponsor's decision

1 on what type of product they would like to market.

2 DR. BENOWITZ: So, if we looked at the
3 products, they would basically be the same in both
4 groups in terms of active ingredients?

5 DR. FRITSCH: In terms of for the data
6 presentation I made, there is six different active
7 ingredients that were represented.

8 DR. BENOWITZ: I understand. I am just
9 saying that if you look at drugs that were selected
10 for a one-week trial versus a four-week trial, they
11 are basically the same medications in both, same
12 active ingredients?

13 DR. FRITSCH: There is only one case where
14 we have data both on a one-week use and a four-week
15 use. Otherwise, the products that are one week are
16 different than the products that are four weeks.

17 DR. BENOWITZ: I understand that the
18 specific product name is different, but in terms of
19 the active ingredients.

20 DR. FRITSCH: The active ingredients, yes.

21 DR. BENOWITZ: Are they also generally
22 different or are they basically the same?

1 DR. FRITSCH: Generally, they are
2 different. There is one product that is
3 recommended for use for either one week or four
4 weeks, and then there are products that are only
5 recommended for one week, and there are products
6 that are only recommended for four weeks.

7 So, generally, the one-week products are
8 different from the four-week products in terms of
9 active ingredients.

10 DR. BENOWITZ: Thanks.

11 DR. CANTILENA: Ms. Knudson.

12 MS. KNUDSON: I want to know, on these
13 studies that you have just presented, do you have
14 any idea how many patients dropped out of the
15 studies and at what timepoints did they drop out?

16 DR. FRITSCH: Yes, that is generally
17 included. For the most part, roughly, in maybe a
18 six-week trial, there might be about 10 to 15
19 percent of patients that drop out. One of the
20 difficulties with the data I have presented, our
21 current standards would be to generally either
22 count the patients that drop out as either failures

1 or last observation carried forward.

2 For the older trials, often the results
3 that I have presented exclude the dropouts. I did
4 not go back and try and correct for intent to treat
5 the way that the older trials did, so that is one
6 variability, that the older trials often ignored
7 dropouts. Recently, we definitely count them in
8 our results.

9 DR. CANTILENA: Thank you.

10 Dr. Ringel.

11 DR. RINGEL: I have a question about
12 negative mycology. I was wondering if that is
13 considered negative KOH and culture or only
14 negative culture.

15 The reason I am asking is that most
16 physicians consider culture in other areas of
17 mycobiology to be a gold standard, whereas, as with
18 dermatophytes, there are various reasons why a
19 culture might be negative, where the KOH would be
20 positive, either bacterial contamination, sampling
21 error, the patient has been using topical
22 antifungals.

1 So, I guess the question is if a KOH is
2 positive, a culture is negative, is that considered
3 positive mycology or negative mycology?

4 DR. FRITSCH: You must have both negative
5 KOH and negative culture to be counted as negative
6 mycology.

7 DR. RINGEL: Thank you.

8 DR. CANTILENA: Thank you. Now we have
9 Mr. Kresel.

10 MR. KRESEL: My question was answered
11 earlier.

12 DR. CANTILENA: Dr. Epps.

13 DR. EPPS: Partially, my question was
14 addressed with the positive KOH, negative mycology,
15 but how much within your group was just positive
16 KOH and negative culture? Do you have any data
17 regarding that?

18 DR. FRITSCH: Yes, the positive KOH and
19 negative culture, I have seen a few. There is
20 definitely some that come through with positive KOH
21 and negative culture.

22 DR. EPPS: Because it may be that this is

1 not viable, but present--

2 DR. FRITSCH: There is lots of problems
3 with the four-week, you know, a negative culture,
4 did you have the fungus in the plate or not, that
5 is definitely a problem, so there are definitely
6 some that do come through.

7 DR. CANTILENA: Thank you.

8 Dr. Lam.

9 DR. LAM: I just want to clarify just to
10 make sure. The data that you present only
11 represent one strength of each of the products.

12 DR. FRITSCH: One strength of each
13 product, yes.

14 DR. CANTILENA: Thank you. Any other
15 questions from the committee? Dr. Wood.

16 DR. WOOD: The elephant in the room here
17 is what the efficacy is with systemic therapy, as
18 well. Is somebody going to talk about that?

19 I realize we are here to consider topical
20 therapy, but as we get to some of these questions,
21 my feelings about them would be substantially
22 influenced by knowing what we are going to accept

1 as the expected efficacy rate from systemic
2 therapy.

3 Clearly, given the efficacy rate shown
4 here, and consumers' views of that will be
5 different if there is effective therapy out there
6 that is of an order of magnitude different.

7 So, is someone going to, for the record,
8 show us that, an efficacy rate from terbinafine
9 systemically?

10 DR. CANTILENA: Dr. Ganley, do you have
11 anyone? If you have to look that up, we can
12 certainly have that after lunch. So, why don't we
13 have someone be checking on that. That is a good
14 point.

15 Our next speaker from FDA, Dr. Mahayni.

16 History and Overview of OTC Topical
17 Antifungal Drug Products Monograph

18 DR. MAHAYNI: Good morning, ladies and
19 gentlemen. My name is Houda Mahayni. I am
20 interdisciplinary scientist in the Division of
21 Over-the-Counter Drug Products.

22 [Slide.]

1 I will give you a brief introduction about
2 the mechanism by which OTC drugs are regulated.
3 Then, I will describe an overview of the OTC Drug
4 Monograph System. Finally, I will discuss the OTC
5 drug monograph for topical antifungals with special
6 emphasis on those ingredients used to treat
7 athlete's foot tinea pedis.

8 [Slide.]

9 Most of you are familiar with the NDA
10 process, so in order to introduce the monograph
11 system, I am going to briefly contrast the two
12 mechanisms by which OTC drug products are
13 regulated, highlighting the key differences between
14 the two mechanisms.

15 NDA is drug product-specific. It requires
16 pre-market approval, and information submitted
17 under the NDA is confidential, whereas, in the OTC
18 drug monograph, is an active ingredient-specific,
19 and ingredients are designate as GRASE, which is
20 generally recognized as safe and effective. There
21 is no need for pre-market approval. Finally, the
22 information is public.

1 [Slide.]

2 I hope this introduction gives you a
3 flavor of how the two mechanisms differ. I will
4 not be talking about the NDA mechanism in this
5 talk, but I will focus for the rest of this talk on
6 the OTC Drug Monograph System.

7 [Slide.]

8 The OTC drug review began in 1972 as a
9 review of the safety and effectiveness of OTC drugs
10 on the market at that time. FDA initiated the OTC
11 drug review by identifying a number of therapeutic
12 categories for which FDA is to establish OTC drug
13 monographs.

14 OTC drug monographs list the conditions of
15 use that are generally recognized as safe and
16 effective or GRASE, and on the next slide I will be
17 talking to you about what is meant by the condition
18 of use.

19 [Slide.]

20 What is really included in the monograph
21 system is the conditions of use, and those include
22 the active ingredients, whether it's single

1 ingredient or combination, dosage strength, dosage
2 form, labeling requirements, such as uses,
3 directions, and warnings, and finally, in some
4 cases, final formulation testing.

5 [Slide.]

6 The OTC drug review is a four-step public
7 rulemaking process, and each step builds upon the
8 other. Here, I will be listing all the four steps
9 and I will go over these steps in more detail in
10 subsequent slides.

11 First, the advisory review panel meets.
12 Then, after the panel meets, the FDA publishes the
13 Advance Notice of Proposed Rulemaking, which is
14 generally referred to as the ANPR.

15 Next, FDA publishes the tentative final
16 monograph, or TFM, and finally, the FDA publishes
17 the final rule, or FM.

18 [Slide.]

19 The panel is a group of experts in a
20 particular OTC drug category. The panel was
21 charged with reviewing the data of OTC ingredients
22 marketed prior to 1975 and assessing whether these

1 ingredients are safe and effective for GRASE
2 conditions for the OTC drug monograph.

3 The panel give the nomenclature Category I
4 for ingredients, all conditions under which
5 products are generally recognized as safe and
6 effective, and are not misbranded.

7 Category II are for ingredients or
8 conditions under which products are generally
9 recognized not as safe and effective or are
10 misbranded.

11 Category III are for ingredients or
12 conditions when the available data are insufficient
13 to permit final classification at the time.

14 Keep in mind that these classifications
15 are not only given for ingredients, but for
16 condition of use as defined earlier, which includes
17 labeling requirements and final formulation
18 testing.

19 [Slide.]

20 Next, the FDA publishes the Advance Notice
21 of Proposed Rule, or ANPR, in the Federal Register
22 to announce its intention of creating the OTC drug

1 monograph. The ANPR also contains the panel
2 report, which lists recommended GRASE conditions.

3 Then, following the publication of the
4 ANPR, interested persons may submit comments or
5 additional data to the panel, and they are given 90
6 days to make those comments in.

7 [Slide.]

8 FDA next publishes the tentative final
9 monograph, or TFM, in the Federal Register as its
10 preliminary position regarding the safety and
11 effectiveness of each active ingredient in
12 particular category.

13 The TFM is based on FDA interpretation of
14 data provided by the panel, the panel
15 recommendations, and any new data submitted in
16 response to the Advance Notice of Proposed Rule.

17 Following its publication, there is also
18 an additional 90 days comment period for interested
19 persons who may want to submit comments and
20 additional data on what was contained in the TFM.

21 [Slide.]

22 FDA reviews all comments and data

1 submitted during the tentative final monograph
2 comment period and amends the TFM to create the
3 final monograph or final rule. The monograph is a
4 set of rules published in the Federal Register.

5 The regulation gets published in the Code
6 of Federal Regulations. That includes an effective
7 date after which any product marketed under the
8 monograph must comply with the conditions used that
9 were described in the monograph.

10 As I said, each step in the monograph
11 builds upon and is a continuation of the previous
12 step. Although the FM is the final step in the OTC
13 Drug Monograph System, FDA can amend the final
14 monograph to include additional GRASE conditions,
15 such as adding new active ingredients.

16 [Slide.]

17 Now that I gave you a general overview of
18 the OTC Drug Monograph System, I am going to shift
19 and talk specifically about the history of OTC
20 topical antifungal monograph with special emphasis
21 on those ingredients used to treat athlete's foot
22 tinea pedis.

1 [Slide.]

2 The panel met in the late seventies and
3 early eighties, and then FDA published the Advance
4 Notice of Proposed Rulemaking in 1982.

5 The panel expressed its concern about the
6 ingredients only mitigating symptoms rather than
7 curing condition as is apparent by the statement
8 that in order to best serve the consumers, an OTC
9 product must provide more than temporary
10 symptomatic relief of athlete's foot, jock itch,
11 and ringworm.

12 The panel required at least one
13 well-designed clinical study demonstrating an
14 active ingredient treat athlete's foot as evidence
15 of effectiveness, and it recommended an ingredient
16 as GRASE if it was significantly more effective
17 than vehicle.

18 [Slide.]

19 In reviewing the clinical trial, the panel
20 defined a well-controlled study as one that met the
21 following criteria: To be double-blinded and
22 randomized, vehicle-controlled, test groups of

1 adequate size, entry criteria based on clinical
2 signs and symptoms with diagnosis verified by
3 positive KOH and culture, and standardized dosing
4 regimen usually four weeks treatment for athlete's
5 foot, and finally, the follow-up examinations
6 performed at the end of treatment and final
7 evaluation of clinical results corroborated by
8 negative KOH and negative culture two weeks after
9 treatment ends.

10 A relatively small percentage of the
11 studies submitted to NDA met these criteria.

12 [Slide.]

13 The panel reviewed approximately 50
14 clinical studies along with in vitro and animal
15 studies to assess the safety and effectiveness of
16 about 35 active ingredients.

17 Of these clinical studies, roughly 10 were
18 designed to demonstrate the effectiveness of active
19 ingredients in treating athlete's foot, but most
20 were poorly designed. This was because there was
21 considerable variability in the study protocol.

22 Enrollment for most studies was based on

1 the diagnosis of tinea pedis by a physician instead
2 of these studies, this diagnosis was confirmed by
3 positive KOH and positive culture.

4 Treatment duration varied between two to
5 six weeks with treatment duration being four weeks
6 in most studies.

7 These studies assessed the efficacy at
8 different timepoints and used different criteria
9 for cure.

10 All these factors make it difficult to
11 compare the cure rates of the monograph products to
12 those of the NDA products. Based on this review of
13 the study, the panel recommended that six active
14 ingredients be classified as GRASE, and I will
15 share with you these ingredients in the slide
16 talking about the final monograph.

17 [Slide.]

18 In addition, the panel proposed the idea
19 of simple and concise labeling that should enable
20 the consumers to clearly understand the results
21 that can be anticipated from the use of the
22 product.

1 Example of indication recommended by the
2 panel includes treat athlete's foot for the
3 treatment of athlete's foot or for the relief of
4 itching.

5 Labeling or products used for the
6 treatment of athlete's foot should include the
7 following warning: If irritation occurs or of
8 there is no improvement within four weeks,
9 discontinue use and consult a doctor or pharmacist.

10 Furthermore, the panel stated that
11 directions should be clear and direct. They should
12 provide the user with sufficient information to
13 enable safe and effective use of the product.

14 Based on the clinical study, which
15 generally involved four weeks' treatment, the panel
16 determined that OTC topical antifungals should be
17 applied twice a day for four weeks to be most
18 effective.

19 [Slide.]

20 Seven years later, after the NPR was
21 published, the Agency published the TFM. In the
22 TFM, FDA reviewed 25 clinical studies. Those

1 studies were submitted following the publication of
2 the ANPR or Advance Notice of Proposed Rule.

3 Six of these 25 studies addressed
4 athlete's foot. Based on these studies, FDA agreed
5 with the panel recommendation in terms of
6 ingredients to be included in the monograph with
7 the exception of two active ingredients, nystatin
8 was classified as not GRASE, and they decided to
9 include povidone and iodine as GRASE.

10 [Slide.]

11 After the TFM was published, FDA published
12 the FM, the final monograph four years later. In
13 the final monograph, FDA reviewed about 10 studies
14 submitted after the tentative final monograph and
15 found the following active ingredients as GRASE for
16 the treatment of athlete's foot.

17 FDA found all other ingredients considered
18 in this rulemaking not to be GRASE for us in OTC
19 topical antifungals. In addition, the final
20 monograph includes labeling similar to that
21 recommended by the panel in the Advance Notice of
22 Proposed Rule.

1 All of the active ingredients listed here,
2 they were indicated for the treatment of athlete's
3 foot, as well as for the relief of symptoms. Only
4 one product tolnaftate was also indicated for the
5 prevention of athlete's foot. In addition, all
6 these active ingredients were also indicated for
7 the treatment of ringworm, tinea corporis, and jock
8 itch, tinea cruris.

9 [Slide.]

10 As I told you, final monograph can be
11 amended following its publication. FDA published a
12 proposed amendment and subsequently, a final rule
13 in August 2000 to modify the labeling of OTC
14 topical antifungal.

15 This amendment added the word "most" to
16 the indication statement between the introductory
17 phrase and the name of the condition for which the
18 product was to be used, for instance, cures "most"
19 athlete's foot.

20 FDA recognized that OTC topical
21 antifungals do not cure or treat all conditions
22 commonly thought by consumers to be athlete's foot

1 or jock itch.

2 FDA also noted that varying percentages of
3 subjects were clinically and mycologically cured of
4 athlete's foot infection, therefore, inserting the
5 word "most" in this case would give and help the
6 consumers know what to expect from these products.

7 This is important since consumers
8 self-select OTC topical antifungals, and do not
9 diagnose. The Agency believed that this labeling
10 should more accurately inform the consumers what to
11 expect from using these products.

12 Also, FDA pointed out that this amended
13 label is consistent with the current labeling
14 approved for OTC vaginal antifungal drug products
15 marketed under NDA. Since these are also topical
16 antifungals with different sites of administration
17 and for consistency, OTC labeling for this
18 particular class should be the same.

19 In addition to this amendment, in February
20 2002, after reviewing approximately eight clinical
21 studies submitted after the FM, FDA proposed to add
22 clotrimazole as GRASE active ingredients for the

1 treatment of athlete's foot, jock itch, and
2 ringworm.

3 [Slide.]

4 In summary, OTC drug monographs allow
5 determination of safety and effectiveness of an
6 entire therapeutic drug class.

7 OTC topical antifungal monograph lists
8 GRASE active ingredients and labeling for OTC drug
9 products that treat athlete's foot, jock itch, and
10 ringworm, as well as prevent athlete's foot,
11 because ingredients found GRASE for one condition
12 is given the same GRASE classification for other
13 conditions because of the similarity of these
14 conditions.

15 From the data submitted the monographs, it
16 is difficult to directly compare the cure rates for
17 monograph and NDA drug products that treat
18 athlete's foot because they were not directly
19 comparable due to considerable variability in the
20 study protocol.

21 Finally, by including the word "most" in
22 the indication, we can say to consumers what to

1 expect from using these products and what to expect
2 from them.

3 Thank you.

4 DR. CANTILENA: Thank you, Dr. Mahayni.

5 I guess we should ask that all depends
6 what you mean by "most," but we will actually talk
7 about that this afternoon.

8 Questions from the committee? Dr. Wood.

9 DR. WOOD: Well, that was going to be my
10 question. "Most" certainly means, as you said, it
11 is the last thing, it helps the consumer.

12 If I look at the slides in the last talk,
13 on page 10, which of these studies support "most"
14 in your view? On the Slide 19 on page 10, you
15 added the word "most" because you felt that
16 reflected the data.

17 Which of the studies specifically on Slide
18 19 do you think tell you that, or would tell me
19 that?

20 DR. MAHAYNI: Actually, the word "most"
21 was added because at the time, there was not a
22 specific study, but because of the lower percentage

1 of cure rate for these ingredients, the word "most"
2 was added to the monograph to indicate to consumers
3 that it is not going to treat every clinical
4 condition that will be presented.

5 DR. WOOD: Right, but "most" implies at
6 least more than 50 percent, and most people I think
7 would assume that it was closer to 100 than 50
8 percent. I don't think any interpretation of
9 "most" implies less than 50 percent, does it? I
10 mean is there a definition that you are aware of
11 that implies that most people do something, implies
12 less than 50 percent?

13 DR. CANTILENA: How about if we have
14 actually Dr. Ganley answer the question, since he
15 probably had more to do with that than Dr. Mahayni.

16 DR. GANLEY: This whole process started
17 before I got to D.C., but I am generally
18 accountable for it.

19 DR. CANTILENA: All right, there is the
20 copout, so now you can answer.

21 DR. GANLEY: No, I accept responsibility
22 for it.

1 I guess at the time, it is a rather
2 complicated thing, is that it will treat most
3 dermatophytes. Also, the thinking was that if you
4 put just cures there without some qualifier, that
5 people think that it is closer to 100 percent cure.

6 Now, "most" may not have been the
7 appropriate adjective and maybe some other
8 qualifying term, but I think that is one of the
9 issues that we need to discuss, whether that really
10 was a good idea and whether we need to revise the
11 language a little bit. It gets back to how you
12 convey information to the consumer as what their
13 expectation can be, but I think I would acknowledge
14 that it actually didn't accomplish what I think the
15 original intent of the Agency was in that, to give
16 some perception that it's not 100 percent cure,
17 that it is something less than that.

18 I think if you look at the data for
19 effective treatment and cures most, people will
20 argue that effective treatment is a reasonable
21 level of success also, and that generally is above
22 50 percent, so I mean you can discuss that today

1 and the logic, but I would acknowledge that it
2 didn't solve the situation at all.

3 DR. WOOD: I guess there are two issues,
4 does it cure and is it most, and I am thinking of
5 this in terms of the treatment of heart failure.
6 You know, it is perfectly legitimate to have a
7 treatment for heart failure that is effective in
8 most patients, but we probably wouldn't allow
9 labeling that said it cured most patients, or HIV,
10 or whatever it was we were treating.

11 I mean I think it is the juxtaposition of
12 both that we need to be discussing.

13 DR. GANLEY: I think the difference I
14 would argue there is that in heart failure, you are
15 not going to cure the underlying condition, you are
16 going to treat the symptoms and improve their
17 survival potentially, you don't cure them of the
18 disease, but infectious disease, you can cure
19 people's disease, and that is where the difference
20 is.

21 So, it does get a little tricky in how you
22 are going to convey that information to the

1 consumer and what their expectation may be.

2 DR. WOOD: That is why I think it is
3 important to have in the discussion, what the
4 efficacy is for systemic therapy, because I think
5 that was exactly my point earlier, where there is
6 alternative therapy available that may have a very
7 different efficacy rate, it is important then to
8 revisit this to make sure that this provides some
9 information that is at least contemporaneous for
10 what the other therapies can do.

11 DR. CANTILENA: That is a very good point.
12 We will have an opportunity this afternoon to
13 discuss that further.

14 Dr. Lam.

15 DR. LAM: For the product to be classified
16 as Category I, what type of cure are we talking
17 about, are we talking about mycology cure or
18 complete cure?

19 DR. MAHAYNI: No, Category I does not
20 relate to actually cure, because most of these
21 studies did not define the complete cure. The
22 category is really reflected on what the

1 ingredients, Category I is ingredients that are
2 seen as safe and effective, or generally recognized
3 as safe and effective, and not misbranded.

4 But as far as cure rate, there were a
5 variety of studies that had a different way of
6 qualifying what is cure rate, and no way to compare
7 them or say what is the cutoff rate for that.

8 DR. HOLEMAN: Matthew Holeman. If I could
9 just sort of clarify real quick.

10 DR. CANTILENA: Okay.

11 DR. HOLEMAN: Basically, remember that
12 most of the studies that these were based on were
13 submitted to the Agency in the seventies, the late
14 seventies, so the standards there were very
15 different than our standards today.

16 So, as Houda pointed out in her talk
17 today, there was a great variability in how these
18 studies were designed, and some of these studies, I
19 think the majority looked at just mycological
20 cures. Some of them did include some clinical
21 cure.

22 I don't know that any actually looked at

1 complete clinical cure, most of them were probably
2 mycological, but it is really hard. There is a lot
3 of variability in all these studies.

4 DR. CANTILENA: Dr. Fincham.

5 DR. FINCHAM: I just have more of a
6 comment than a question. I think this is all very
7 interesting, how we are deciding what cure means
8 and what most means, but I guess at some point, we
9 are all consumers, but I am concerned about the
10 consumers that aren't in this room that see the
11 advertisements for these products and see cure,
12 they may not even look at most, but just see the
13 word "cure" and make assumptions based upon that.

14 I don't expect anybody to have an answer
15 to that, but it is a comment that I think we need
16 to perhaps consider later.

17 DR. CANTILENA: Yes, I think we will have
18 an answer this afternoon.

19 Go ahead, Mr. Kresel.

20 MR. KRESEL: I am sure that when the
21 monograph was developed, there was probably debate
22 over the terminology and what it should say, but

1 since the labeling doesn't define cure, and
2 therefore I think it is very difficult for the
3 consumer to really know what they are getting when
4 it says "cures most," we might want to go back and
5 talk about that debate between treats and cures.

6 DR. CANTILENA: Dr. Benowitz, the final
7 question.

8 DR. BENOWITZ: Just a question about the
9 GRASE criteria. For example, nystatin was not
10 accepted as GRASE, so is that because of efficacy,
11 or are there some safety issues with some of these
12 products, as well?

13 DR. MAHAYNI: I don't recall for what
14 purpose that was taken out of the GRASE category or
15 classification.

16 DR. BENOWITZ: But just do you know, are
17 there any safety issues for any of these products?

18 DR. MAHAYNI: For nystatin itself?

19 DR. BENOWITZ: No, just for the variety of
20 antifungals. I know some probably don't work, but
21 should we be thinking about any safety issues for
22 any of these antifungals?

1 DR. MAHAYNI: For most what I have done
2 for preparation of the advisory committee meeting,
3 we focused on the efficacy. I didn't particularly
4 look at the safety, I didn't go over what study was
5 submitted to the monograph for safety purpose,
6 because we were focusing here on efficacy rate, so
7 I reviewed all the effectiveness studies that were
8 listed in the monograph, so I can't answer your
9 question.

10 DR. BENOWITZ: I am wondering if anyone at
11 FDA has information about hypersensitivity or other
12 safety issues involving these agents.

13 DR. CANTILENA: Dr. Ganley, does your
14 staff have that?

15 DR. GANLEY: We can look for that, but I
16 suspect that, you know, today, when we look at
17 today, what we asked for in studies and what they
18 may have looked at back in the seventies, there may
19 have been safety information that looked at
20 exposure, you know, to a group of individuals. It
21 wasn't a specific study that would address that.

22 Today, there are irritation studies,

1 photocarcinogenicity studies, and a whole variety
2 of different studies that may be asked of a topical
3 agent, and John could probably address it better
4 than I can.

5 But I would suspect that if you go back
6 and look at that, it was basically data that was
7 submitted about use in various populations, and
8 there was no significant adverse effects.

9 DR. CANTILENA: We have a comment over
10 here from Kresel.

11 MR. KRESEL: I was just going to say,
12 because I am the oldest one here, and remember back
13 then, there were very skimpy studies that were
14 done, and there probably wasn't enough to really
15 come to a conclusion, not that there was any
16 particularly negative data and probably the sponsor
17 didn't do an awful lot.

18 DR. CANTILENA: Thank you.

19 Did you have a comment, Dr. Bisno, that is
20 related to this?

21 DR. BISNO: Just a comment which I will
22 deal with slightly in my talk, which is if you look

1 at the 13 episodes that have been reported to the
2 FDA, according to the information we got, about
3 cellulitis related to these topical products, most
4 of them, if you look at them, look like their
5 hypersensitivity reactions someone got. They got
6 it and then a day later they developed inflammation
7 of some sort, it wasn't really compatible with what
8 one would think would be a cellulitis.

9 So, at least in those very scanty reports,
10 one would suspect that at least a number of them
11 were actually hypersensitivity related in one way
12 or another.

13 DR. CANTILENA: Dr. Katz.

14 DR. KATZ: In response to a previous
15 question as far as nystatin, why that was excluded
16 from the GRASE, I would assume that it was because
17 it is in not effective, it is not effective for
18 these conditions.

19 DR. CANTILENA: Dr. Schmidt.

20 DR. SCHMIDT: Ladies and gentlemen, you
21 all are very lucky today, because you have somebody
22 who is older than Dr. Kresel, and also we were

1 interested in these medications in the seventies,
2 and actually, when I was a resident, I helped in
3 some of these studies.

4 These studies, at least the ones we did,
5 were very well done and I think, you know, as I
6 recall, there were very few side effects with these
7 different medications although some of these
8 things, it seemed like the vehicles were almost as
9 good as the medications.

10 So, I just want to say that you all are
11 lucky.

12 DR. CANTILENA: We are very lucky. We
13 have an investigator here, as well as an advisory
14 committee member.

15 Dr. Whitmore.

16 DR. WHITMORE: With regard to contact
17 hypersensitivity and such, I think the chemicals
18 themselves are not big-time contact allergens by
19 any means, and it would be more likely the
20 excipient agents.

21 DR. CANTILENA: Thank you very much.

22 Our next FDA presenter is Dr. Shetty.

1 Topical Antifungal Drug Product Labeling

2 DR. SHETTY: My name is Daiva Shetty. I
3 am a medical officer in the Division of
4 Over-the-Counter Drug Products.

5 [Slide.]

6 My talk will consist of several different
7 topics. First, I will briefly present some
8 marketing and postmarketing safety data for topical
9 and antifungal drug products. I will focus more in
10 detail on labeling issues for this class of drugs
11 and also provide some examples how we convey
12 efficacy information to consumers.

13 [Slide.]

14 First, I will start with the marketing
15 data.

16 [Slide.]

17 There are 11 active ingredients approved
18 for tinea pedis indication through New Drug
19 Applications for prescription and over-the-counter
20 use. There are also, as mentioned earlier, 7
21 monograph active ingredients that the Agency found
22 to be generally recognized as safe and effective.

1 Both prescription and over-the-counter products are
2 widely used for the treatment of dermal fungal
3 infections.

4 [Slide.]

5 The Division of Surveillance analyze the
6 prescription and over-the-counter sales trends and
7 drug use patterns for topical antifungals.

8 Two IMS health databases were used to
9 gather this information, National Sales
10 Perspectives and National Disease and Therapeutic
11 Index.

12 [Slide.]

13 The first database, National Sales
14 Perspectives, measures the volume of drug products,
15 prescription and nonprescription, going from
16 manufacturers into a market in terms of eaches. An
17 each is IMS's unit of measure for single items,
18 such as tubes, jars, or individual retail packages.

19 This database does not provide the
20 demographics of consumers purchasing the drugs. It
21 does not give the indication for use or the amount
22 of drug actually used.

1 [Slide.]

2 This slide shows the National Sales
3 Perspectives data for topical antifungals in 2003.
4 Over-the-counter topical antifungal drug products
5 accounted for over 20 million eaches, while
6 prescription products accounted for around 16
7 million eaches in 2003.

8 This is somewhat surprising to us given
9 that over-the-counter products are freely available
10 to consumers for their purchase and use. Keep in
11 mind that the sales data are for topical antifungal
12 ingredients in general, and do not reflect the
13 tinea pedis indication.

14 [Slide.]

15 Here is the table from the same database
16 listing active ingredients, prescription and
17 nonprescription, approved for the treatment of
18 tinea pedis in terms of sales. We can see that
19 monograph ingredients highlighted on this slide in
20 yellow account for the highest volume sold.

21 [Slide.]

22 The second IMS health database, National

1 Disease and Therapeutic Index, estimates the use of
2 drugs by collecting data on drug products
3 mentioned, but not necessarily prescribed, during
4 visits to a panel of approximately 2,000 to 3,000
5 office-based physicians.

6 These data are collected and projected
7 nationally to reflect national prescribing
8 patterns. It may include profiles and trends of
9 diagnoses, patients, and treatment patterns. It
10 does not, however, capture patients who
11 self-diagnose and purchase over-the-counter drugs.

12 [Slide.]

13 My final slide on marketing displays data
14 from National Disease and Therapeutic Index. The
15 vertical axis shows the numbers of users, and the
16 percentages of bar graphs reflect a fraction of all
17 drugs.

18 In 2003, the most common agents
19 recommended by a physician to treat tinea pedis
20 were those listed on this slide, and all of them
21 except for terbinafine are prescription products.

22 [Slide.]

1 In the second part of my talk, I will
2 briefly summarize findings from the FDA's Adverse
3 Event Reporting System. There is a full review
4 included in your background packages.

5 [Slide.]

6 We requested the Office of Drug Safety to
7 review all the adverse event reports received
8 through the Adverse Event Reporting System for all
9 topical antifungal agents focusing on two issues:
10 lack of efficacy and cellulitis cases.

11 [Slide.]

12 There are certain limitations to these
13 data. There are no adverse event reporting
14 requirements for monograph ingredients. Therefore,
15 reporting for those drug products may be
16 significantly underrepresented.

17 The report gives only crude numbers for
18 the active ingredients. That means that you don't
19 have a denominator and cannot estimate the
20 incidence of each report. Some ingredients are
21 marketed in multiple formulations for several
22 different indications which will not be reflected

1 in the report.

2 Finally, causality of what is the primary
3 suspect drug in the report was not assessed.

4 [Slide.]

5 Given all the limitations, the search
6 found a total of 4,741 reports for 15 active
7 ingredients, of which the most common, 35 percent
8 reported a lack of efficacy.

9 This is a very high percentage. In our
10 experience, we don't usually see that a third of
11 all reports would be associated with a lack of
12 efficacy of the drug.

13 The majority of the lack of efficacy
14 reports in AERS database were associated with these
15 listed four ingredients, and the numbers in the
16 package reflect year of approval of that particular
17 drug in the U.S.

18 Given this high number of low efficacy
19 reports, we worried if there are some consequences,
20 such as missed or mistreated diagnosis.

21 [Slide.]

22 What we could do is search our database

1 for cellulitis reports. The Office of Drug Safety
2 found 13 cases of cellulitis associated with those
3 15 topical antifungal agents.

4 Cellulitis in these 13 cases was reported
5 as an adverse event, and was not a condition being
6 treated. Although more cases of cellulitis were
7 reported for terbinafine and miconazole, based on
8 this small number of spontaneously submitted
9 adverse event reports, we are unable to say that
10 particular antifungal agents are associated with
11 more or less cellulitis cases than other agents.

12 [Slide.]

13 More on the issue of cellulitis, you will
14 hear later today presented by Dr. Bisno. I will
15 summarize 13 AERS cases.

16 All 13 cases were diagnosed as cellulitis
17 and were primarily of U.S. origin. The patients
18 were using the antifungal agents for a variety of
19 reasons, but tinea pedis is the predominant reason.

20 Cellulitis symptoms typically started one
21 day after application of the topical agent, and the
22 sites most often affected were the lower

1 extremities. One patient reported having diabetes
2 and seven patients reported hospitalization.

3 Of the seven hospitalization cases, one
4 patient was hospitalized for worsening Parkinson's
5 disease, and cellulitis in this patient was
6 diagnosed, but was not the reason for
7 hospitalization.

8 The six remaining cases were for
9 cellulitis, however, it was unclear in two cases
10 that the cellulitis occurred before or after the
11 administration of the antifungal agent.

12 [Slide.]

13 The last part of my presentation is
14 over-the-counter labeling issues.

15 [Slide.]

16 There are three types of labeling for
17 topical antifungal drug products: prescription
18 labeling for prescription drug products and two
19 types of over-the-counter drug labeling for
20 monograph and NDA drug products.

21 Given the efficacy rates for this class of
22 drugs and numerous consumer complaints on the lack

1 of efficacy, it is apparent that consumers may not
2 understand that they may not achieve symptom relief
3 or cure by the end of the treatment. Current
4 labeling does not specifically communicate this
5 message.

6 [Slide.]

7 I will start with prescription labeling.
8 Information conveyed on prescription labeling is
9 targeted at health care providers. It has detailed
10 information on drug pharmacology, microbiology,
11 preclinical and clinical data, indications,
12 contraindications, warnings, and dosage and
13 administration.

14 [Slide.]

15 This is an example of the indications and
16 usage section on prescription labeling for topical
17 antifungals drug products. The point of this slide
18 is to show that at it lists specific conditions,
19 that are in yellow and underlined, and specific
20 fungi that particular ingredient is effective
21 against.

22 [Slide.]

1 The Directions for Use Section in
2 Prescription Labeling gives the duration of use for
3 the particular product, for example, two weeks for
4 tinea corporis or tinea cruris, and four weeks for
5 tinea pedis.

6 [Slide.]

7 Expectations of treatment are also
8 specified in Prescription Labeling. Sample of such
9 a labeling is shown on this slide. If a patient
10 shows no clinical improvement after four weeks of
11 treatment, the diagnosis should be reviewed.

12 This information does not appear on
13 patients' container labeling, and it is very
14 dependent on a physician who is prescribing and
15 giving instructions to the patient.

16 [Slide.]

17 The second type is labeling for
18 over-the-counter monograph products.

19 [Slide.]

20 This is an example of over-the-counter
21 drug facts labeling format, which appears on the
22 carton of each over-the-counter drug. Labeling of

1 OTC monograph ingredients conveys indication in the
2 Uses Section, which follows Active Ingredient
3 Section.

4 There are two statements in the Uses
5 Section on all monograph antifungal products.

6 The first is a required statement, and it
7 states, "Treats or cures most athlete's foot."

8 The second is an optional statement, and
9 states relieves or for relief of a list of
10 symptoms, such as itching, burning, cracking, and
11 scaling.

12 [Slide.]

13 Labeling for monograph ingredients
14 specifies four week duration of treatment and
15 directs the consumer to seek medical advice if
16 symptoms persist at the end of the treatment.

17 Under the Directions Section, it states,
18 "Use daily for four weeks, and if condition
19 persists longer, ask a doctor."

20 [Slide.]

21 Also, the Warning Section states, "Stop
22 use and ask a doctor if irritation occurs or if

1 there is no improvement within four weeks," which
2 is the label duration of treatment.

3 [Slide.]

4 The third type of labeling is for
5 over-the-counter NDA drug products. There are a
6 few differences between the labeling of monograph
7 ingredients and products marketed under NDAs.

8 [Slide.]

9 The Uses Section of NDA nonprescription
10 product labeling is usually consistent with the
11 Uses Section of the products marketed under the
12 monograph except when conditions studied in
13 clinical trials are somehow different.

14 For instance, if patients enrolled into
15 clinical trials get only interdigital tinea pedis,
16 this will be reflected in the Uses Section, as is
17 shown on this slide, "Cures most athlete's foot
18 between the toes, and effectiveness on bottom or
19 side of foot is unknown."

20 The second bullet is also similar to
21 optional indication statements as monograph
22 ingredients.

1 [Slide.]

2 The Directions Section on the
3 over-the-counter NDA drug labeling also reflects
4 the treatment regimen studied in clinical trials.
5 We have two types of over-the-counter antifungal
6 drug products for tinea pedis approved under NDAs.

7 This is an example of product that is
8 approved for four-week duration of treatment.

9 [Slide.]

10 This is an example of the labeling for
11 product that is approved for one-week duration of
12 treatment.

13 [Slide.]

14 The main difference between NDA and
15 monograph product labeling is that NDA labeling
16 does not specifically inform consumer about the
17 time of expected outcome. The warning simply
18 states, "Stop use and ask a doctor if too much
19 irritation occurs or gets worse." There is no
20 specific information on expected efficacy.

21 [Slide.]

22 Talking about efficacy, I would like to

1 show a few examples of over-the-counter labeling,
2 how we convey this information to consumers.

3 [Slide.]

4 Most of over-the-counter products are
5 indicated for acute symptom relief. Few have a lag
6 time between the treatment initiation and
7 completion, and the expected results. Efficacy
8 rates usually are not presented on over-the-counter
9 labeling, which few products have. If this
10 information is present, it is presented in Drug
11 Facts on the carton or in the package insert.

12 [Slide.]

13 One example is one of the newly-approved
14 over-the-counter products that has a lag time
15 between the initiation of treatment and complete
16 response is omeprazole. The Uses Section and the
17 Direction Section both state that it may take one
18 to four days for full effect.

19 This information is included on the carton
20 label, so consumers can read this statement when
21 considering to purchase the product. The same
22 information is included in the package insert.

1 [Slide.]

2 The next example is an over-the-counter
3 product with the efficacy information is labeling
4 for minoxidil. The following warning statement on
5 the carton label is also available to consumers at
6 the time of purchase.

7 Under the section When Using this Product,
8 it states, "It takes time to regrow hair. Results
9 may occur at two months with twice-a-day usage, and
10 for some it may take four months to see results."
11 The same information is included in the package
12 insert.

13 [Slide.]

14 The last example is labeling for
15 famotidine, which includes information about the
16 efficacy rate of the product in the package insert.
17 Two bar graphs demonstrate heartburn relief,
18 prevention, or reduction for the drug product
19 relative to placebo.

20 Because this information is in the package
21 insert, it is not available to consumers at the
22 time of purchase, and we don't know if consumers

1 reach this information at all.

2 [Slide.]

3 Today, we are seeking your advice. Should
4 the following be in the over-the-counter topical
5 antifungal drug label? Efficacy rates, time to
6 symptom relief, expected time to cure, when to see
7 a doctor, and whether ancillary measures to prevent
8 tinea pedis, such as changing socks, wearing
9 well-fitting, ventilated shoes, or cleaning showers
10 should be emphasized on the label.

11 This concludes my talk.

12 DR. CANTILENA: Thank you, Dr. Shetty.

13 We have time for questions from the
14 committee. Dr. Lam.

15 DR. LAM: I want to go back to the Adverse
16 Event Reporting System data that you presented,
17 specifically regarding the 35 percent lack of
18 efficacy data.

19 Do you have information whether that was
20 mostly associated with the one-week regimen, or the
21 four-week regimen, or a combination of both?

22 DR. SHETTY: This is all, combination of

1 all.

2 DR. LAM: Okay. So, we don't even have a
3 sense whether it is primary one week, because the
4 data clearly showed that one week--

5 DR. SHETTY: We have more reports for
6 one-week products. Maybe the reviewer for the
7 database will answer your question.

8 DR. CANTILENA: Yes, there is a comment
9 over here?

10 DR. PITTS: My name is Marilyn Pitts.
11 Actually, for the lack of efficacy reports, because
12 of the extreme volume, we were unable to look at
13 those reports individually, so we don't know the
14 duration of treatment. We don't know if's a
15 one-week or four-week or three-week, or even if the
16 patient used it once a day or twice a day. So, we
17 don't have that information.

18 DR. LAM: I will say that if there is a
19 way that we can get a sense, it will be important
20 for us to consider some of the issues either this
21 afternoon or tomorrow. There is no way to do that?

22 DR. CANTILENA: There probably are

1 thousands, right?

2 DR. PITTS: There are thousands, there is
3 almost 1,700 reports. It takes a long time to even
4 pull the images and then to go through and
5 categorize and get that information. It is
6 extremely time-consuming and difficult to get that.

7 DR. CANTILENA: You know, we have really
8 about three hours before we come back after lunch.

9 [Laughter.]

10 DR. CANTILENA: There is a lot of FDA
11 employees. It is not going to happen, Dr. Lam.

12 DR. LAM: Are we going to consider the
13 question whether--in your executive summary, you
14 indicated that some of the manufacturers are
15 considering developing products of less than
16 one-week treatment duration--so, are we going to
17 consider that at all today or not?

18 DR. GANLEY: I think it is done in the
19 context of understanding what the cure rates are or
20 effective treatments that we see, and the lack of
21 dose-response information.

22 In that context, if someone did a study

1 that showed three days of treatment was as good as
2 one month of treatment, and they figured out what
3 the correct concentration is, well, that is pretty
4 good, I think.

5 The issue I think is we don't get that
6 information. It is really what beats vehicle and
7 what kind of study is done, and I think that is
8 where the committee has to start addressing, you
9 know, from a dose-response, and one of the
10 questions actually addresses that.

11 I think that is the context, but I have no
12 objection to have a one-day or a three, and we have
13 had inquiries about a one-day treatment product.
14 So, it is what is the bar that we want to set here,
15 is it just that you beat vehicle or is it that we
16 try to maximize the efficacy for consumers.

17 DR. CANTILENA: We have Clapp, Raimer,
18 Schmidt, and Katz.

19 DR. CLAPP: This is just a question really
20 based on curiosity. Because of the sheer volume of
21 complaints you have had, or consumer complaints,
22 what is the method by which a consumer's concern of

1 lack of efficacy gets to the FDA?

2 DR. MAHAYNI: Well, they just report like
3 any other adverse event. It is actually a
4 complaint, but they call to Adverse Event Reporting
5 System.

6 DR. CLAPP: But how does the consumer get
7 to the Adverse Event Reporting System? I don't
8 think many physicians do it on this level.

9 DR. MAHAYNI: Maybe they call the number
10 on the package and then it comes. I don't know
11 they come to us.

12 MR. KRESEL: Can I comment because
13 pharmacovigilance is part of my department, as
14 well? They call the number that is on the bottle,
15 and then we are required to report it to FDA.

16 DR. CANTILENA: And then FDA holds an
17 advisory committee.

18 Yes. Did you have a comment about it?

19 DR. PITTS: Right, the Med Watch form is
20 also available via the internet. There is also a
21 1-800 number. But I recognize that patients have to
22 recognize that there is a system in place, and I

1 don't think the carton actually has that
2 information specifically, because even for health
3 care providers, to recognize there is a system in
4 place where if you have a complaint about a
5 product, then, you should call.

6 DR. CANTILENA: Thank you.

7 Dr. Raimer.

8 DR. RAIMER: I was just going to mention
9 that most of the complaints were against agents
10 that you could over the counter, so a lot of the
11 patients probably had psoriasis or probably had
12 eczema or probably did not have tinea in the first
13 place, so there is no way to really judge whether
14 the patient even had tinea to start with.

15 So, a lot of the complaints, they have
16 similar symptoms, so it would be difficult to know
17 what the patient really had in the first place.

18 DR. CANTILENA: So, you are saying there
19 is a problem in the setting of an OTC, you know,
20 self-diagnosis?

21 DR. RAIMER: Yes.

22 DR. CANTILENA: Well, that is another

1 issue that is not on our list of issues.

2 DR. PITTS: I am sorry, could I make a
3 clarification? Actually, we believe that the
4 reports for the over-the-counter products are
5 underrepresented. If you look at the number of
6 reports, the topical terbinafine and topical
7 miconazole, those were previously prescription
8 products, and if we were to probably look at that,
9 we probably would see that most of those or some of
10 those occurred more during the prescription process
11 as opposed to the OTC process, so I don't have any
12 idea.

13 DR. RAIMER: Even then, a lot of
14 physicians do not do the mycology, they don't the
15 KOH, they judge just clinically, so even then, I
16 think a lot of those probably don't really
17 represent tinea.

18 DR. CANTILENA: Dr. Schmidt.

19 DR. SCHMIDT: Before too long, I would
20 like to address this about the cellulitis issue and
21 get this on the table.

22 These case reports are real dogs, you

1 know, as far as cellulitis. I don't think any of
2 these people had really an adverse reaction to any
3 of these medications, and I don't think they were
4 cellulitis. I think they were contact dermatitis.

5 There was one patient that had TEN
6 probably from Enbrel, and I think to put this down
7 as these 13 cases of cellulitis, this really needs
8 to be brought up and discussed.

9 DR. CANTILENA: I am not sure what that
10 is, but there is an opportunity right after our
11 next speaker, we will be actually talking about the
12 complications.

13 DR. PITTS: Can I respond to that?
14 Actually, the prescriber or the reporter identified
15 the cases as cellulitis, we did not make any
16 judgment call in terms of whether they were
17 cellulitis or not, but this was what was actually
18 reported by the health care practitioner that
19 submitted the report for those cases.

20 We are not making any judgment call as to
21 whether or not they are good cases or bad cases.
22 These are just the cases that were reported.

1 DR. SCHMIDT: Woof, woof, woof.

2 DR. CANTILENA: I think Dr. Schmidt has
3 just made a judgment call.

4 [Laughter.]

5 DR. CANTILENA: Dr. Katz.

6 DR. KATZ: I wanted to reemphasize that we
7 should keep in mind the likelihood that the reports
8 of lack of efficacy must represent a minuscule,
9 tiny minuscule portion of people who have lack of
10 efficacy, because the average folks out there are
11 going to use this for what they perceive to be, as
12 Dr. Raimer said, tinea pedis, and it doesn't work.
13 They think it says it should relieve symptoms, it
14 doesn't work in two or three applications, so they
15 stop using it, and they take it as a loss.

16 So, I wouldn't be surprised, if a survey
17 was done at the 0.1 percent of reports you are
18 getting.

19 DR. CANTILENA: Dr. Benowitz and then Dr.
20 Alfano.

21 DR. BENOWITZ: It was striking to me that
22 there was almost as many prescriptions by

1 physicians for topical antifungals as OTC uses, and
2 my question is, is this for insurance purposes, or
3 is there some evidence that the antifungals that
4 are available by prescription only work better or
5 why is this the case? It is very striking to me
6 that there is such a huge volume of prescriptions.

7 I guess that question might be to my
8 dermatology colleagues about why that is occurring.

9 DR. CANTILENA: Anyone? Comments from the
10 Dermatology Committee?

11 MR. KATZ: Might it be that some of them
12 were prescribed prior to its becoming OTC, for
13 instance, clotrimazole has been OTC probably for,
14 what, five or eight years, so maybe a lot of those
15 reports were when it was prescription?

16 DR. BENOWITZ: This was 2003.

17 MR. KATZ: 2003. I would be very
18 surprised because in recent years, we don't write
19 prescriptions for that. We just write it for the
20 patients to get it at the drugstore. We may write
21 it down on a prescription, but without its being a
22 signed prescription.

1 DR. SHETTY: Maybe the physicians are
2 still used to prescribe or advice to use products
3 that were prescription recently.

4 DR. SCHMIDT: May I comment just a minute?
5 I think a lot of this, I don't really write for
6 prescription topical antifungals anymore. You
7 know, the majority of them, they may have a funny
8 name, but they will have the medication that is a
9 prescription, but I think a lot of this is
10 marketing by some of the drug companies.

11 I think, to me, there are a lot of people
12 who will still write prescriptions for things, and
13 I think a lot of it is a marketing effort by the
14 drug companies.

15 DR. CANTILENA: Other comments?

16 DR. WOOD: As I understand this, we don't
17 know that this is OTC, do we? I mean the Rx's may
18 well be for systemic antifungals for this
19 indication.

20 DR. SHETTY: Only topical antifungals.

21 DR. WOOD: Are you sure? Are you sure of
22 that?

1 DR. SHETTY: Yes. We took out the
2 systemic and we took out some ketoconazole.

3 DR. WOOD: So, the 15.7 million
4 prescription were eaches for itches, that were all
5 topical, is that right?

6 DR. SHETTY: Yes.

7 DR. GANLEY: I think that it was pointed
8 out that we can't separate out, particularly for
9 the prescription, which ones were for other
10 conditions other than tinea pedis, and even for the
11 OTCs, there is other claims. I think tinea pedis,
12 of the three that are over the counter, is probably
13 the most common, but that is the difficulty.

14 But is it a little surprising I think when
15 you see the percentages here or the number of
16 eaches for each. I think what is interesting, too,
17 is if you look at the National Sales Perspective,
18 which was Slide 8, the Clotrimazole and
19 betamethasone was the highest there in the number
20 of eaches.

21 But if you look at Slide 10, only 12
22 percent of those prescriptions accounted for tinea

1 pedis, so the 90 percent of those, you would have
2 to assume then were related to other conditions
3 where if someone saw a rash, didn't know if it
4 required an antifungal or a steroid, so they gave
5 them the combination product.

6 So, it is difficult data to look at, but
7 it is the best that we can do with it.

8 DR. CANTILENA: Thank you.

9 Did you have a comment, Dr. Whitmore, on
10 this topic?

11 DR. WHITMORE: I was going to agree with
12 Dr. Schmidt as far as why prescriptions are written
13 for prescription antifungals. Marketing definitely
14 is a big one, and the pharmaceuticals will come out
15 with studies where they have certain efficacy rates
16 in their control study or whatever, which is better
17 than X drug.

18 Also, oftentimes patients will have used
19 their clotrimazole for two or three days or
20 whatever, a short period of time, come in to the
21 physician and say I am not better, so a
22 prescription is written for something else.

1 DR. CANTILENA: Dr. Alfano.

2 DR. ALFANO: Dr. Shetty, your Slide 12,
3 you said you searched the AERS data as of March
4 16th. What is the start date on that data?

5 DR. SHETTY: All the reports that were
6 received.

7 DR. ALFANO: So, this is all reports like
8 in the history of man? I guess my point is so we
9 saw 20 million eaches, whatever that translates to
10 in terms of treatments, just for the year of 2003,
11 so we are talking about tens of millions, if not
12 hundreds of millions, of doses, treatments in this
13 database for a condition for which the previous
14 data presented said 40 percent of people who
15 present to hospitals have the condition and 15 to
16 70 percent of free-living Americans have the
17 condition.

18 So, I guess the trouble I am having is,
19 you know, the perception that this is such a large
20 database, it actually seems to be a very tiny
21 database relative to the number of individuals who
22 have the condition and who have treated the

1 condition.

2 DR. PITTS: If I can respond, the AERS
3 database, this is all reports in the database for
4 those agents, for the topical agents, and we know
5 that there is a significant amount of
6 underreporting that occurs between recognizing that
7 there is an adverse event and then having that
8 person report it.

9 With the topical agents, I would suspect
10 that there is even less of a reason for people to
11 draw a correlation, but there is a different time
12 period where they came on the market, so it is
13 really for all the ones that we have for the life
14 that we have, but these are two different databases
15 between the drug use data and the adverse event
16 databases. Those are different databases.

17 DR. CANTILENA: Dr. Katz.

18 DR. KATZ: I just want to clarify
19 something. What was mentioned, the
20 over-the-counter products are being prescribed,
21 were you referring to page 5 of this last
22 presentation, where in 2003, most physicians

1 recommended antifungals for tinea pedis, is that
2 what you were referring to?

3 DR. BENOWITZ: No, what I was referring to
4 was actually Slide 7, just showing the volume.

5 DR. KATZ: What page is that?

6 DR. BENOWITZ: Page 4, I was just
7 referring to the volume of prescribed topicals.

8 DR. KATZ: That doesn't mean prescribed,
9 number one.

10 DR. SHETTY: No, this is all in terms of
11 eaches, whatever goes from manufacturer into the
12 marketplace.

13 DR. KATZ: That is not prescribed.

14 DR. SHETTY: That is not prescribed.

15 DR. KATZ: And on page 5, where it says
16 "National Disease and Therapeutic Index 2003"--

17 DR. SHETTY: This is a different database.

18 DR. KATZ: That is physician recommended.

19 DR. SHETTY: That physician mentioned
20 during the visit.

21 DR. KATZ: That doesn't physician
22 prescribed.

1 DR. SHETTY: No, that doesn't.

2 DR. KATZ: So, we should have that
3 straight, because frequently, we will write--not
4 frequently--always we will write if we want patient
5 for tinea pedis to use clotrimazole, miconazole, we
6 will write on the prescription, for patient to
7 remember, so we will write on the prescription
8 without signing it, without the patient's name on
9 the top, just so they remember.

10 That is physician recommended. That
11 includes not OTC, because
12 Clotrimazole/betamethasone is not OTC, I don't know
13 what Naftifine is, so I think that may have been
14 the source of confusion.

15 DR. GANLEY: I just want to clarify, on
16 that Slide 10, for the National Disease and
17 Therapeutic Index, that could have been OTC or
18 prescription.

19 DR. SHETTY: Right, Butenafine is
20 nonprescription.

21 DR. GANLEY: Right, so it does suggest
22 that the Ciclopirox, which I think is the Rx drug,

1 is the most prescribed for tinea pedis. You would
2 have to think that if that is a prescription drug,
3 and that is the most recommended, that they
4 actually prescribed it. That's the only thing I
5 can take away from it.

6 DR. CANTILENA: We have a final comment
7 over here from Mr. Kresel.

8 MR. KRESEL: I was just going to comment
9 on the AERS database again and that is what then
10 you look at a class of drugs that doesn't have a
11 significant serious adverse event profile, it is
12 not uncommon then to see that the most common
13 consumer complaint would be lack of efficacy.

14 My experience in getting consumer
15 complaints is that consumers learn early on that if
16 they call the sponsor and complain that their
17 product didn't work, they will get a refund.

18 DR. CANTILENA: That certainly is an
19 incentive, and I think we all have an incentive to
20 take a break. We will return at 10:30.

21 [Break.]

22 DR. CANTILENA: Our first speaker for

1 after the break here will be Dr. Alan Bisno from
2 the University of Miami, School of Medicine,
3 infectious disease complications of tinea pedis.

4 Infectious Disease Complications of Tinea Pedis

5 DR. BISNO: Good morning. My assignment
6 this morning has been to discuss the relationship
7 of tinea pedis and cellulitis of the lower
8 extremities. I am embarrassed to do this because,
9 as I am going to tell you in a little while, there
10 is much more that we don't know than what we do
11 know about this particular subject.

12 What I am going to do is start off with
13 some introductory remarks about the epidemiology
14 and nature and clinical nature of cellulitis in
15 general, the problem of recurrent cellulitis and
16 its control, and then go on to discuss in more
17 detail what data there are available on tinea pedis
18 and cellulitis of the lower extremities.

19 Looking around this august group at the
20 table, I know that I am bringing coals to Newcastle
21 for most of you, but I have to apologize for that.

22 [Slide.]

1 First of all, what is known about the
2 factors that predispose to lower extremity
3 cellulitis, because certainly, tinea pedis is not
4 the only one, and there is sort of two groups of
5 factors that are known to predispose.

6 First, is anything causing cutaneous
7 disruption, trauma or surgery, burns or ulcers.
8 Varicella is an interesting one, it is not limited
9 to the lower extremities obviously, but
10 pediatricians have known and infectious disease
11 people have known for many years that children who
12 get varicella may often get secondary cellulitis
13 and even life-threatening bacteremias due to
14 streptococcal infection of the varicella, so it's a
15 good reason to have children immunized against
16 varicella.

17 Then, there is dermatophyte infections of
18 all kinds, so cutaneous disruption is one issue,
19 and then there are systemic factors that also
20 predispose to cellulitis including lymphedema and
21 venous insufficiency, obesity is a major risk
22 factor, as I will discuss, ischemia, IV drug abuse.

1 Obviously, we see lots of cellulitis in individuals
2 who are parenteral drug abusers, both IV and skin
3 poppers, and then immunosuppression.

4 So, all of these are factors predisposing
5 to lower extremity cellulitis.

6 [Slide.]

7 This is a typical case of cellulitis. I
8 don't know how well it shows here, but it is a
9 diffuse inflammatory process involving the skin and
10 subcutaneous tissues, and if this projects well
11 enough, which in this lighting it might not, those
12 of you who may be able to see that it is occurring
13 along the site of a saphenous venectomy which was
14 performed for coronary artery bypass grafting.

15 [Slide.]

16 Erysipelas is a little bit different.
17 This is one of my patients with erysipelas, and it
18 involves the more superficial areas of the skin,
19 such that the lymphatics are greatly involved, and
20 this leads to some of the special features of
21 erysipelas, namely, that it is raised above the
22 surrounding area, unlike the cellulitis that I

1 showed you in the last slide, and that unlike
2 cellulitis, there is a sharp demarcation between
3 the involved and the uninvolved area.

4 [Slide.]

5 I just show this picture of classic facial
6 erysipelas, which isn't really pertinent to what we
7 are discussing today, simply to mention that
8 nowadays, more and more, we don't see erysipelas in
9 that area, but we do see it in lower extremities,
10 as shown by this patient.

11 You will have to accept my word, because
12 of the lighting in here, that this is raised and
13 well demarcated, and it is a case of erysipelas of
14 the lower extremities, also happens to be one of
15 those in the post-saphenous venectomy group.

16 [Slide.]

17 Sometimes this goes on to more extensive
18 problems. These bullae and vesicles with
19 dark-colored material in them don't necessarily
20 mean that there is going to be an adverse outcome,
21 but they are very worrisome in terms of the
22 possibility, to me, they signify a lot of local

1 toxin production, and some patients with cellulitis
2 do go on to have deeper tissue infection which can
3 even be life-threatening.

4 I have taken care of one patient recently
5 who has had three episodes of cellulitis, an obese,
6 homeless patient with severe tinea pedis who had
7 been in the intensive care unit three times, twice
8 in shock, because of this kind of a problem.

9 [Slide.]

10 Well, the microbial etiology of erysipelas
11 and cellulitis differs a little bit in that classic
12 erysipelas, when you see the man that I showed you
13 in the first slide, this is virtually always due to
14 beta-hemolytic strep, mostly Group A, but now
15 always.

16 But the terms cellulitis and erysipelas
17 are often used interchangeably, particularly in the
18 European literature, so many of the studies that I
19 quote or that you will see talk about erysipelas,
20 and they say erysipelas and cellulitis
21 interchangeably, and you can't really tell whether
22 they are talking about erysipelas or cellulitis.

1 In truth, that is not such a big deal
2 because many cases are not so clear-cut as the
3 examples that I have shown you, and it really is
4 not always possible to classify it as one or
5 another.

6 The only reason I bring it up is because
7 although classic erysipelas is virtually always
8 beta-hemolytic strep, cellulitis can be due to a
9 wide variety of organisms. The list of organisms
10 that can cause it is extremely long, but that is
11 for another session.

12 But in most cases, nevertheless, the vast
13 majority of cases are due to beta-hemolytic strep
14 or *Staphylococcus aureus*. When you see typical
15 lower extremity cellulitis with diffuse spreading
16 erythema, and not localized pus, that is usually
17 not staphylococcal, it is usually to beta-hemolytic
18 strep, but not necessarily Group A. It can be A,
19 B, C, or G.

20 [Slide.]

21 It is important for us to discuss
22 recurrent cellulitis because this is an important

1 issue in relationship to what we are going to be
2 talking about in terms of tinea pedis.

3 Many patients with episodes of cellulitis
4 experience recurrent attacks. The percentage of
5 patients in whom this occurs is variable depending
6 upon the risk factors.

7 DeGodoy and associates did a study of a
8 large number of patients, and did lymphatic
9 scintigraphy on these patients and found that 77
10 percent of such patients had abnormalities of
11 lymphatic drainage on scintigraphy, and it is
12 believed that lymphatic drainage is progressive
13 with recurrent episodes, thus exacerbating the
14 problem, so we would really like to prevent
15 recurrent cellulitis.

16 [Slide.]

17 I am going to show a couple of slides on
18 antimicrobial prophylaxis of recurrent cellulitis
19 for a couple of reasons. The first is that we will
20 get some idea of the baseline rates of recurrence,
21 and the second is that since control of tinea pedis
22 is one of the things we are going to be discussing

1 today, that is only one method of preventing
2 recurrent cellulitis, and now you have taught me
3 this morning that most of these things don't work
4 anyway, so I have to look at alternative ways to
5 try to prevent recurrent cellulitis.

6 It is generally written in the literature
7 that patients who have frequent episodes of
8 recurrent cellulitis should be put on continuous
9 antimicrobial therapy to prevent this. Many of you
10 who practice dermatology in this group may have run
11 into this issue, but it is surprising how little
12 real data there are and how marginally effective
13 such antimicrobial prophylaxis is.

14 For instance, in this study by Wang, et
15 al., 31 patients with definite or presumptive
16 streptococcal cellulitis of the lower extremities
17 were treated with monthly benzathine penicillin G
18 injections, and 70 patients who declined and 14 who
19 received incomplete prophylaxis served as controls.

20 The recurrence rate was 12.9 percent in
21 the treated patients and 19 percent in the
22 controls, which wasn't statistically significant.

1 Interestingly enough, benzathine
2 penicillin G was spectacularly effective in
3 reducing recurrences in patients who didn't have
4 any predisposing factors, but not in those with
5 predisposing factors.

6 I have a hard time interpreting this study
7 since the patients I see with recurrence all have
8 predisposing factors. One predisposing factor they
9 didn't mention in the study, however, was tinea
10 pedis, and that may have been a major unrecognized
11 predisposing factor in this particular study.

12 [Slide.]

13 Here is another one of 40 patients with
14 venous insufficiency or "lymphatic congestion," who
15 had suffered two or more episodes of erysipelas
16 during the previous three years.

17 Twenty patients received oral penicillin
18 or erythro for a median of 15 months with two
19 recurrences versus 8 in 20 untreated controls.
20 That is 40 percent, that is pretty impressive to
21 me, but the p-value is only 0.06, and the authors
22 themselves concluded that continuous prophylaxis is

1 indicated only in patient with a high recurrence
2 rate.

3 There are other things you can do in these
4 patients, such as giving them a prescription of
5 antibiotic to have in their pocket in case they
6 have the earliest signs at onset, they can
7 frequently truncate the attacks.

8 [Slide.]

9 With that introduction, let's talk about
10 tinea pedis and lower extremity cellulitis. Here,
11 I am really on very shaky grounds, because I am an
12 infectious disease person, I am not a
13 dermatologist, and I am going to quote some
14 dermatologic literature, and it may or may not be
15 current, so you guys can please straighten me out
16 in the discussion period.

17 I am quoting at least authority in the
18 person of Dr. Albert Kligman, who published back in
19 the 1970s the following: that the recovery of
20 fungi decreases as athlete's foot becomes
21 progressively more severe; that aerobic microflora
22 expands as the disease becomes more and more

1 serious.

2 Athlete's foot represents a continuum from
3 a relatively asymptomatic, scaling fungal eruption
4 to a symptomatic, macerated, hyperkeratotic process
5 that results from the overgrowth of resident
6 organisms if the stratum corneum is damaged by
7 preexistent fungi.

8 Overgrowth of the same organisms in
9 normal, fungus-free interspaces does not produce
10 lesions.

11 [Slide.]

12 In a more recent review in the Clinics in
13 Podiatric Medical Surgery in 1996, the author made
14 many of the same points.

15 With progression of the process of
16 dermatophytosis, the normal protective skin barrier
17 becomes macerated and friable.

18 As the process continues, the skin becomes
19 debilitated and weakens as an effective barrier
20 against infection.

21 Fissures may occur, providing a portal of
22 entry for any opportunistic organism in the area,

1 resulting in cellulitis.

2 [Slide.]

3 Let's get on more specifically to tinea
4 pedis and lower extremity cellulitis.

5 This really dates back to the earliest
6 studies that were published about saphenous
7 venectomy and coronary artery bypass grafts and
8 cellulitis.

9 The first of the two studies that were
10 published was that by Greenberg, et al., from Dr.
11 Roman Kasankosis' group, and my own study with Dr.
12 Larry Baddour, who was a fellow of mine, is now a
13 professor at the Mayo Clinic, in the Annals of
14 Internal Medicine in 1982. I am not sure, sir, of
15 you were the editor in 1982, but if you were, thank
16 you for accepting the paper.

17 Anyway, the initial studies focused upon
18 patients who had undergone saphenous venectomy, and
19 many of these infections were due to non-Group A
20 beta-hemolytic streptococci, often B, C, or G, to
21 the extent that you could get them. In most cases
22 of cellulitis, as you know, you don't get a

1 microbiologic diagnosis.

2 [Slide.]

3 Of these two studies, Greenberg, et al.,
4 really have precedence in terms of the tinea pedis
5 issue because they described 9 men, age 48 to 72
6 years, who developed cellulitis in the saphenous
7 venectomy extremity. Five of the 9 patients had
8 recurrent episodes.

9 The first infection was within 8 months
10 post-op in 8 patients, but one was 8 1/2 years
11 later. I should interject here that actually, as
12 we have had more experience with this, these things
13 happen frequently months and many years afterwards.
14 It is something that the cardiovascular surgeons
15 never recognize because they don't see those
16 patients at that point.

17 Positive blood cultures in that study, in
18 one patient, yielded beta-strep which weren't
19 further characterized.

20 All 9 had mild to severe tinea pedis of
21 the involved leg, and they state there were no
22 recurrences after aggressive topical or oral

1 antifungal therapy. That is all the information
2 that is given, so we don't know how long it was
3 given, how long it was followed, and that sort of
4 thing.

5 [Slide.]

6 Dr. Baddour and I went back to our
7 original studies and looked at our patients again,
8 and we detailed 9 patients with post-CABG
9 cellulitis, 5 of whom experienced from 2 to more
10 than 20 recurrences.

11 At the time were reported this in the
12 1980s, it is amazing that clinicians were not
13 recognizing this as cellulitis. They were
14 frequently thinking it was some sort of a deep
15 venous thrombosis, and people were anticoagulated
16 and all kinds of other things were done to them, so
17 they ended up having many, many recurrences.

18 But anyway, 7 of these patients had tinea
19 pedis, and in 2 instances, control of
20 dermatophytosis was associated with cessation of
21 attacks. I have to admit to you I can't remember
22 now, 20 years later, how long we followed these

1 patients, and they are only 2, so I am guilty of
2 the same thing that I critiqued the last study for.

3 [Slide.]

4 Now, more recently, Dr. Semel and Goldin
5 picked up on our work and did something very
6 interesting, and their paper I think is included in
7 the packet that you have. They studied 20 patient
8 with lower extremity cellulitis, but they excluded
9 patients with trauma or peripheral vascular disease
10 or chronic leg ulcers. I am not sure why, but I
11 suspect that those particular conditions are more
12 likely to be associated with staphylococcal than
13 with streptococcal infections.

14 Anyway, they found athlete's foot present
15 in 20 or 84 percent of the 24 episodes studied.
16 Beta strep were isolated from ipsilateral two web
17 spaces in 17 or 85 percent of the 20 cases.

18 Then, they took 30 controls seen in a
19 dermatologist's office for treatment of athlete's
20 foot, but without cellulitis, and not a single one
21 of them were they able to recover beta strep. So,
22 they concluded that only beta strep are recovered

1 more frequently from patients than controls

2 [Slide.]

3 Now, I am going to quote a few other
4 studies are less informative, but the literature is
5 spotty in this regard.

6 Thirty Venezuelan patients with erysipelas
7 were reported, who were in otherwise good health.
8 Forty-three percent of them had tinea pedis and in
9 7 of 30 or 23 percent tinea pedis was found to be
10 the unique predisposing factor, but there were no
11 controls.

12 Again, I don't know what the base
13 prevalence of tinea pedis is in Venezuelan
14 patients, is it 43 percent or is it 10 percent. I
15 don't have that information.

16 [Slide.]

17 Koutkia, et al., did a prospective but
18 uncontrolled study of 62 hospitalized patients with
19 cellulitis, and they identified a large number of
20 possible predisposing factors here. You can see
21 that tinea pedis was present in 32 percent. Again,
22 with the data that we have presented this morning

1 where the range of tinea pedis in normal
2 populations can be 15 to 40 percent, is that a true
3 association or not? Again, it's an uncontrolled
4 study.

5 Interestingly enough, fully a quarter of
6 the patients in their study were studied as
7 post-CABG patients.

8 [Slide.]

9 The best study to date so far is the
10 Dupuy, et al., study, and this is a case-controlled
11 study of 167 patients hospitalized in 7 French
12 hospitals for lower extremity cellulitis, and they
13 compared it with 249 hospitalized controls.

14 In a multivariate analysis, significant
15 risk factors were disruption of the cutaneous
16 barrier, such as ulcer, wounds, or dermatosis,
17 lymphedema, venous insufficiency, leg edema and
18 overweight.

19 I should mention again obesity is a very
20 powerful risk factor. We just completed now a
21 prospective case-controlled study of patient with
22 recurrent cellulitis in which we found BMI was a

1 highly strong predictor of cellulitis. It was
2 statistically significant with recurrent
3 cellulitis.

4 But at any rate, in Dupuy's study, toe-web
5 intertrigo was present in 66 percent of patients
6 and 23 percent of controls, for an odds ratio of
7 6.6, and toe-web intertrigo was a strong risk
8 factor, an odds ratio of 13.9 with a population
9 attributable risk of 61 percent.

10 [Slide.]

11 I had a slide on the FDA adverse event
12 reports, but I am not going to beat this dead horse
13 since Dr. Schmidt has already woofed it to death,
14 so I am not going to say any more about that.

15 [Slide.]

16 What are the unresolved issues that we
17 have to deal with? What is the risk of normal
18 individuals with tinea pedis developing cellulitis
19 at some time in their life? We don't have any
20 information on this whatsoever that I am aware of.

21 Does the magnitude of risk justify a
22 warning? Certainly, if we don't know the magnitude

1 of risk, we really can't say whether it justifies a
2 warning, but I think the risk in normal individuals
3 with tinea pedis has got to be extremely low.

4 Again, we might have to stratify that as to what
5 kind of tinea pedis are we talking about, are we
6 talking about the kind of things we saw in the
7 first slides with minor scaling, are we talking
8 about really macerated toes, which I think may be
9 an entirely different level of risk.

10 Are the beta-hemolytic strep strains
11 recovered from between the toes of patients with
12 tinea pedis and cellulitis, such as those by the
13 Semel and Goldin study, are those truly organisms
14 responsible for cellulitis? We don't know that. I
15 am unaware of reports of the same strain being
16 recovered from toe cultures in cellulitis during a
17 single attack of cellulitis, none in the literature
18 that I know of.

19 I personally have a case where I have
20 recovered strep over about 10 years, three times,
21 from a patient with tinea pedis and cellulitis, and
22 looked at the M proteins under PCR, and, indeed,

1 this is the same strain over and over, but was
2 never recovered during the time of his acute
3 illness. Usually, these patients get whopped on
4 antibiotics as soon as they get in, and you don't
5 get positive cultures often from between the toes,
6 so that is an unresolved issue.

7 Another issue, unresolved issue, which I
8 didn't put on this slide, is do we really have any
9 idea whether treating tinea pedis will actually
10 prevent recurrences. I have given you all the data
11 I know, and there are a couple of articles with
12 observational data on a very small number of
13 patients.

14 Here is what we really need to do. We
15 really need to have a controlled trial of patients
16 with athlete's foot to look at, in this controlled
17 fashion, those who are well treated and those who
18 aren't treated in terms of the incidence of
19 cellulitis.

20 But let's think about how you accomplish
21 that. Obviously, in the general population, the
22 incidence is so low that you would have to study

1 thousands and thousands of patients for months to
2 years, so you are not going to be able to do it.

3 So, the best one would be patients with
4 recurrent cellulitis. There, you might have a
5 chance, particularly with people with frequently
6 recurrent cellulitis. Then, you could have a
7 controlled trial of treating the tinea pedis of
8 patient with recurrent cellulitis and tinea pedis,
9 and not treating it in another group of recurrent
10 cellulitis and tinea pedis.

11 You may have little problems with the
12 Human Utilization Committee, but even if you could
13 get it through there, you would only be studying
14 probably one therapy or one therapeutic regimen.
15 Again, you people have told me that half the time
16 it is not going to work anyway, so I don't know how
17 you can study this problem in a really effective
18 manner, but certainly that is the kind of
19 information we need and we don't have.

20 [Slide.]

21 So, what are the issues for the committee
22 at this point? Tinea pedis is only one of a number

1 of risk factors for the development of lower
2 extremity cellulitis, but one thing about it, it is
3 one of the most modifiable of those factors, at
4 least I thought it was before I walked in this room
5 today.

6 Now, the committee might wish to add a
7 caution about the importance of eradication of
8 tinea pedis in patients with such risk factors as
9 lymphedema, venous insufficiency, edema of the
10 legs, marked obesity, saphenous venectomy, or for
11 CABG, or previous episodes of cellulitis.

12 So, I would say that if you are going to
13 do anything at all, the best you could do, at least
14 in my humble opinion, is to put in at least a
15 warning about the importance of assiduous treatment
16 of tinea pedis in patients with these established
17 risk factors.

18 Thank you very much.

19 DR. CANTILENA: Thank you, Dr. Bisno.

20 Questions from the committee for Dr.

21 Bisno? Yes, Dr. Epps.

22 DR. EPPS: I don't know whether it is more

1 of a question or a comment. Within the
2 differential of a web space tinea pedis would be
3 erythrasma, which is actually a bacterial
4 infection.

5 DR. BISNO: Corynebacterium minutissimum.

6 DR. EPPS: Right.

7 DR. BISNO: I recently had a bacteremic
8 patient with that, interestingly enough.

9 DR. EPPS: I wondered whether some of
10 these cases of cellulitis, whether, in fact, they
11 had tinea, whether they had erythrasma, and perhaps
12 that could be an confounding issue.

13 DR. BISNO: I guess so, but look at the
14 prevalence in the population of tinea pedis versus
15 erythrasma. I think there has got to be a
16 magnitude of order difference. I may be wrong
17 about that, again, I am not a dermatologist.

18 DR. CANTILENA: Dr. Schmidt.

19 DR. WHITMORE: Dr. Bisno, I had a question
20 for you regarding strep colonizing the skin. It is
21 not going to do that unless the integrity is
22 disrupted, is that correct?

1 DR. BISNO: I am sorry?

2 DR. WHITMORE: Strep is not going to be
3 growing in the skin unless the integrity of the
4 skin is disrupted.

5 DR. BISNO: We used to think that, but it
6 is not entirely true, and the way that we know that
7 is in studies of children, underprivileged children
8 with poor personal hygiene in areas where there is
9 a lot of streptococcal pyoderma, and the studies by
10 Wannamaker and Digianni and their associates in
11 Minnesota many years ago showed that in those
12 circumstances, you do get colonization of the skin
13 with the pyoderma types of streptococci and then
14 presumably due to trauma, abrasions, or insect
15 bites, it gets converted into pyoderma.

16 So, at least in certain instances, it
17 could colonize normal skin, but generally, I think
18 in general the answer is you wouldn't expect that
19 to be part of the normal flora in a population of
20 people who weren't underprivileged or who were able
21 to maintain normal hygiene.

22 DR. WHITMORE: The only reason I ask that

1 is because if that were true, which apparently it
2 is not, then, we could select out patients who have
3 disrupted skin, who are then actually put at risk
4 for cellulitis because of their tinea, such as
5 cracking in the web space or vesicular bullous on
6 the foot where there is disruption.

7 DR. BISNO: That is a possibility. I mean
8 it seems to me that even with those strictures, you
9 are going to be treating an awful lot of people.
10 Of course, you would be treating those patients
11 anyway, so I guess that would be fine, you would be
12 wanting to do that anyway.

13 DR. SCHMIDT: My clinical impression is
14 that treating these things does help the tinea, but
15 the biggest problem that we see clinically, at
16 least in Houston, is many of these people are these
17 massively obese female who are completely immobile,
18 and I don't really think that these people who have
19 these recurrent cellulitises, at least in my
20 experience, really live that long, you know, that
21 there is something else that kills them, usually
22 the obesity.

1 I have one other question. When you said
2 obesity, you used the term--in Texas, we use TDF,
3 too damn fat--but you said DMI.

4 DR. BISNO: Body mass index.

5 DR. SCHMIDT: Oh, that sounds a lot
6 better. Thank you.

7 DR. CANTILENA: We should probably strike
8 that from the transcript, just so we don't get in
9 trouble with Texas.

10 DR. BISNO: I think you have identified
11 one group, that is for sure, but there are lots of
12 other people who don't have those, particularly
13 saphenous venectomy group, people who have had,
14 let's say, nodal dissections for cancer and have
15 chronic lymphedema.

16 There are a number of other factors, and
17 another area that we are seeing a lot of problems
18 with, as I alluded to in one of the case
19 discussions is the homeless, because they are out
20 there, they can't really maintain good personal
21 hygiene, they have horrible feet, and they are at
22 risk for this, so I think that obese, and I

1 wouldn't necessarily--I don't know about the sexual
2 predilection for obesity, you know, we see obese
3 men, too--and I work at the VA a lot.

4 At any rate, I think obesity is certainly
5 a major issue, but I don't think it's the only
6 issue.

7 DR. CANTILENA: Yes, Dr. Davidoff.

8 DR. DAVIDOFF: One of the predisposing
9 factors that is not on your second slide is
10 diabetes, and yet, as a former diabetologist, I was
11 a little surprised because certainly one of the
12 great concerns of diabetologists and podiatrists is
13 foot infection. Perhaps you could comment on that.

14 DR. BISNO: Where something like
15 controlled studies have been done on this, as in
16 the DuPuy study, and actually even in our
17 prospective case-controlled study, a lot of things
18 you can't see in hospital records, but diabetes you
19 certainly can, and it hasn't emerged as an
20 independent risk factor.

21 So, yes, I agree, I am always worried
22 about diabetes, and I am always worried more

1 staphylococcal and streptococcal infections, but
2 nevertheless, I was careful not to say that because
3 I don't have the data to back it up.

4 DR. CANTILENA: Go ahead, Dr. Fincham.

5 DR. FINCHAM: I was also curious about
6 that because you did reference the Koutkia article
7 that listed 50 percent diabetes.

8 DR. BISNO: That is the percentage in the
9 general population. I mean yes, I think we all
10 have a feeling, a gut feeling that diabetes is a
11 bad thing for infection and for the feet, but in
12 terms of these kinds of infections, I don't have a
13 chapter and verse to be able to state that.

14 DR. CANTILENA: Thank you, Dr. Bisno, a
15 very excellent presentation.

16 Our next speaker this morning would be Dr.
17 Ghannoum who is from Case Western Reserve
18 University talking about the microbiology aspect.

19 Microbiology and Dermatophyte Resistance
20 Related to the Treatment of Tinea Pedis

21 DR. GHANNOUM: Good morning, everybody,
22 and thank you for inviting me to participate in

1 this session.

2 What I am going to try to talk to you
3 today is about really the knowledge we have as far
4 as antifungal susceptibility is concerned. Again,
5 this is really a new field, and I am going to
6 present to you a lot of the data which was
7 generated in the last, I would say five or so
8 years.

9 [Slide.]

10 I thought I would put my conflict of
11 interest at the beginning. I direct the Center for
12 Medical Mycology and I would say the vast majority
13 of companies that do work with antifungals, we work
14 with them as part of grants and contracts.

15 Also, I act sometimes as consultant and
16 speaker's bureau member for different companies, so
17 it is not like one particular company, and I
18 thought I would point to some of the relevant
19 companies which we had grants, contracts, or
20 speaker's bureau listed here.

21 [Slide.]

22 Let's talk now about antifungal agents and

1 resistance. As you know, in recent years, there
2 are a number of compounds which have been
3 introduced to treat fungal infections, and these
4 are quite efficacious compounds, they work very
5 well including the classes of allylamines and
6 azoles, and really this is very, very good news as
7 far as treating superficial infections.

8 However, like with the introduction of any
9 antimicrobial, the likelihood or the potential of
10 resistance development is there.

11 This has been very clearly illustrated
12 when we look at systemic infections particularly
13 with Diflucan or fluconazole when it was
14 introduced.

15 [Slide.]

16 I did a literature search, just to give
17 you an idea when resistance usually occurs. I did
18 a Medline search when the drug is introduced, and
19 then a number of papers on resistance that came out
20 after an introduction of the drug. You notice
21 like, for example, 5FC was introduced around here,
22 then, you see this is the total papers on

1 antifungal resistance increases.

2 The same, you put miconazole,
3 ketoconazole, again, you see the blips, and
4 fluconazole or terconazole, again, you can see I
5 would say resistance is reported about two years
6 after the introduction of new antifungal agents.

7 However, most of these studies I focused
8 on are systemic antifungals, because really we
9 don't have many papers, actually, we have nearly
10 none that address this issue.

11 [Slide.]

12 I want now to focus a little bit on the
13 dermatophytes. In spite of the wide clinical use
14 of topical antifungal agents, also agents to treat
15 dermatophytosis, very little data is available on
16 the antifungal susceptibility, as I just alluded
17 to.

18 This is possibly due to the fact that we
19 really do not have a method, which is reference
20 method documented that can measure antifungal
21 susceptibility, and this is not a surprise. We had
22 the same situation with systemic antifungal agents

1 for 30 or 35 years.

2 We only had amphotericin B, so you really
3 don't need to do this, however, when we start
4 seeing the new agents, then, there was a need for
5 developing a method, and the same thing is true
6 when we talk about the topical antifungals.

7 Griseofulvin was the one and now we have
8 the classes of compounds that are also there.
9 Because of this, there is really a need to develop
10 a method.

11 [Slide.]

12 With that in mind, what we did in my group
13 at the Center for Medical Mycology in Cleveland, in
14 1998, we started to put a program to develop a
15 method for measuring antifungal susceptibility.

16 The compounds which we focused on are to
17 dermatophytes. These are the compounds which we
18 focused on - terbinafine, griseofulvin,
19 intraconazole, and fluconazole.

20 [Slide.]

21 As a result of this program, we published
22 two papers on them, Norris, et al., and Jessup, et

1 al. In these articles, we were able to determine
2 that optimal conditions for doing antifungal
3 susceptibility. The method is microdilution
4 method, what type of media used, the inoculum,
5 really all what you need if you want to do the
6 proper antifungal susceptibility as dermatophytes
7 are concerned.

8 Once those papers are published, I am a
9 member of the NCCLS, the National Committee for
10 Clinical Laboratory Standards, I was asked to
11 really head the group to develop antifungal
12 susceptibility to the dermatophytes.

13 [Slide.]

14 Under the auspices of the NCCLS, we did a
15 number of studies. The first one was a intra- and
16 inter-laboratory multicenter study to determine
17 whether the developed method is really reproducible
18 by other people in a way to try to form a document
19 for that.

20 Also, currently, we are conducting a
21 quality control study to define some organisms, so
22 that labs, when they want to do this, they have

1 their QC isolates and they will be able to really
2 know that the method they are performing is the
3 right one.

4 This is in preparation for our next
5 meeting in January 2005. That is when the NCCLS
6 for antifungal agents meet. We hope to have all
7 this method approve and become part of the document
8 M38.

9 [Slide.]

10 Once the method was developed, we started
11 asking the question is there a resistance issue as
12 far as dermatophytes are concerned. With that, we,
13 at the Center, we started really monitoring the
14 antifungal susceptibility of dermatophytes.

15 We had a number of dermatophytes. We
16 collected isolates where they come from different
17 sources. We had a set of isolates, sequential
18 isolates obtained from patient with onychomycosis.
19 They were enrolled in part of the clinical trial.

20 We had routine clinical specimens. Our
21 lab received specimens from about 400, 500
22 physicians, where we identified isolates through

1 KOH, and this sort of thing, so we collected those
2 also.

3 We were the central lab for clinical
4 trials for some topical agents, also those
5 organisms, and finally, we did a couple of
6 epidemiological studies, one on onychomycosis and
7 one recently in tinea capitis, and we collected
8 also those isolates. These have been published in
9 the American Journal of Dermatology, and this one
10 also.

11 In total, we have over 2,000 isolates
12 which we tested.

13 [Slide.]

14 I am going to share with you some of the
15 data we collected over the last few years.

16 This is the dermatophytes which we
17 collected from epidemiological studies, the two
18 epidemiological studies that we mentioned. This is
19 the organism, rubrum, mentagrophytes, tonsurans,
20 and canis, and this is the number of each species,
21 in total 117 isolates were collected.

22 This is the terbinafine susceptibility,

1 and you can see this is the MIC in microgram per
2 ml. It went from less than 0.001 to 0.004, and you
3 can see really 95 percent of the isolates were
4 inhibited by 0.002 micrograms per ml, so it is
5 quite good activity.

6 [Slide.]

7 This is the same isolates. We looked at
8 fluconazole, who did it do. Again, you can see
9 this is a broader range really of MICs, but I would
10 say the vast majority could be inhibited from here
11 to here, 0.5 to 4 micrograms per ml.

12 A few isolates had I would say susceptible
13 dose dependent sort of MICs, two and four of them
14 had 16 or 32 micrograms per ml.

15 [Slide.]

16 We looked at itraconazole. Itraconazole
17 did I think the same, a good job. The vast
18 majority of organisms are inhibited within this
19 range. We had got 7 and like 8 isolates, 0.5 and
20 1, which usually at least in the yeast area,
21 because we had developed breakpoints, you would say
22 maybe you suspect a little bit resistance, but this

1 is a very, very big maybe, because we don't have
2 breakpoints developed for dermatophytes.

3 [Slide.]

4 Now, this is for griseofulvin. Again,
5 these are from the epidemiological studies, and you
6 see the vast majorities are quite susceptible and
7 they responded well.

8 [Slide.]

9 Now, I move into some isolates which we
10 got from the clinical trials, so really some of
11 them saw patients, others did not see patients or
12 the epidemiological part.

13 The same picture could be painted. You
14 can see here for terbinafine, again look at this.
15 Really, the vast majority are within what we
16 expect.

17 [Slide.]

18 This is fluconazole. Again, we see the
19 same sort of susceptibility. There is nothing that
20 is up there.

21 [Slide.]

22 With itraconazole, the same story.

1 Remember I told you about 0.5 and 1, some isolates
2 tend to be a little bit high, but not too high.

3 [Slide.]

4 With griseofulvin, we saw something a
5 little bit more interesting, because unlike the
6 isolates which came from the epidemiological study,
7 we see a number here 22 and 5 isolates with MIC,
8 which I would say higher than what we saw from
9 epidemiologic.

10 So, there is some feeling here that at
11 least griseofulvin, the MIC is going up a little
12 bit, and this had been observed by a colleague of
13 mine in his study from New York Labs, where he
14 showed that there is a little bit of increase in
15 MIC against griseofulvin.

16 [Slide.]

17 Now, putting everything together for
18 terbinafine, 1,300 isolates, and you can see the
19 range of MIC, less than 0.001 to 0.25, and MIC50,
20 which is the minimum inhibitor concentration that
21 inhibited 50 percent of isolates, 0.002 and 0.015,
22 the concentration that inhibited 90 percent of

1 isolates. So, in general, I would say it is quite
2 active.

3 [Slide.]

4 This is the same story, but in a histogram
5 format, and I am showing you about fluconazole.

6 This is cumulative data, putting everything
7 together, and you can see *T. rubrum*, *T.*
8 *mentagrophytes*, and I would say here is where the
9 crux of the isolates, most of them are inhibited.

10 [Slide.]

11 Itraconazole, we saw those here at 0.5 and
12 1, a little bit higher.

13 [Slide.]

14 Griseofulvin, the same thing. These are
15 isolates which tend to be a little bit higher.

16 [Slide.]

17 To summarize this part of the talk, I
18 would say that all the antifungal agents we looked
19 at, fluconazole, griseofulvin, itraconazole, and
20 terbinafine are active against the tested
21 organisms.

22 Really, no resistance to these drugs was

1 detected, a question mark about griseofulvin.

2 Now, terbinafine, when you compare
3 everything as far as the level of MIC, I would say
4 showed the most potent antifungal activity relative
5 to the other agents.

6 Now, some clinical isolates I mentioned
7 had a little bit of elevated MIC to griseofulvin.

8 [Slide.]

9 We then focused a little bit more on a
10 subset of isolates. Remember those were about
11 1,300. We looked at the total number, we continued
12 with terbinafine. What we found is 99.4 percent of
13 the isolates tested, they had an MIC listed as 0.06
14 micrograms per ml.

15 However, we detected a set of sequential
16 isolates. You remember I told you we had some
17 isolates from the same patient over a period that
18 had elevated MICs. Because of this, we focused on
19 them and we wanted to try to understand.

20 [Slide.]

21 Now, these isolates came from a clinical
22 trial that has 1,500 patients. They were treated

1 to determine the efficacy and safety of oral
2 terbinafine. The selection criteria for this was
3 culture positive at the initial visit. In between,
4 it could be at least in one or more visits, the
5 positive culture also, and then at the end of the
6 study, the culture was positive, as well, so
7 obviously, we did not get rid of the culture.

8 Now, in total, we had 38 patients positive
9 for *T. rubrum* throughout the study. We again
10 focused on these and we looked at the number of
11 organisms. It was 140 sequential isolates from
12 these 38 patients.

13 [Slide.]

14 Then, we wanted to know why are these
15 patients failing. The answer could be one of these
16 three possibilities. It could be due to decrease
17 in antifungal susceptibility of the infecting
18 organism, MIC going higher.

19 It could be due to reinfection with a new
20 genetically unrelated strain, or it could host
21 factors.

22 [Slide.]

1 So, we started to answer each of these
2 questions.

3 First, is there a decrease in antifungal
4 susceptibility of the sequential isolates? We had
5 140 isolates. We did MIC for them, and what we
6 found in all cases, the MIC of terbinafine from
7 each patient set were either identical or within
8 one tube dilution. When there is one tube
9 dilution, really, there is no difference, so I
10 would say they are identical at least if you look
11 at any of the MIC, that one to two tube dilutions
12 are not considered significantly different.

13 The same results were obtained within each
14 set against the other drugs, fluconazole,
15 itraconazole, and griseofulvin, showing you that
16 there is no cross-resistance. All of them were
17 actually susceptible.

18 One exception we noted was where one
19 organism, griseofulvin had a MIC 3-fold increase.

20 [Slide.]

21 Now, this is just to show you--I am not
22 going to give all the data--just a representative

1 example, and here we have MIC against flu, itra,
2 terb, and griseo. You can see low and the same.
3 We did not see an increase as these patients were
4 treated for the 12-week period.

5 [Slide.]

6 However, one patient had the sequential
7 isolate that had elevated MIC out of the 38. Look
8 at this. This was published by my group in
9 Antimicrobial Agents and Chemotherapy last year.

10 These are the different isolates at
11 different visits, and here we put two isolates
12 which are really standard, just to make sure we, as
13 you said, quality control and they are susceptible
14 to terbinafine.

15 You can see here we used two different
16 methods, macrodilution method and microdilution
17 method, to measure the MIC. At the very front, 4
18 microgram per ml, and remember most of the
19 isolates, really 99.4 percent of the isolates had
20 an MIC of 0.002. So, this is a significant
21 increase in MIC.

22 When you look at using the microdilution

1 method, all the isolates had elevated MIC. Using
2 another method, the macrodilution, it made it even
3 more interesting where at the beginning it was 4,
4 but by the end of the study, the MIC microgram per
5 ml was greater than 128, so it increased.

6 So, that really puzzled us, and we wanted
7 to know more.

8 [Slide.]

9 But before I go into these, I just want to
10 conclude about the 38 patients. Patient failure is
11 not due to a decrease in antifungal susceptibility,
12 because MICs were very similar, only one exception
13 where all six isolates of *T. rubrum* were found to
14 have greatly reduced susceptibility. These, we
15 analyzed them a little bit further.

16 [Slide.]

17 We wanted to know is there
18 cross-resistance to other classes of antifungals.
19 Suppose something happens and we have high MIC for
20 something, does it go across to other drugs. The
21 answer is really put simply no. Here, we see at
22 least the azoles type of compound and griseofulvin.

1 Look at the MIC, the same sequential isolate. It
2 is about the same irrespective of where it came
3 from.

4 [Slide.]

5 When you look at other compounds in the
6 same class, which is allylamine, we wanted to ask
7 the question is there cross-resistance to other
8 squalene epoxidase inhibitors., and for this we
9 used again our control. This we know is
10 susceptible to all these agents, 0.002, so it is
11 obviously susceptible, however, look at this.

12 The naftifine, butenafine, tolnaftate, and
13 tolcyclate, they all had high numbers indicating
14 that there is a cross-resistance to squalene
15 epoxidase.

16 [Slide.]

17 Now, the question then, is it possible
18 that the patient got infected with another strain,
19 which was more resistant, so we looked at that. We
20 wanted to do genetically related studies to see
21 whether they are the same or not.

22 So, we performed RAPD analysis, which is

1 random amplified polymorphic DNA analysis.

2 [Slide.]

3 I am not going to bore you with the
4 method. This is standard method. This is how you
5 extract the DNA. This is how you do the analysis,
6 you know, just to give you the cycle PCR, and this
7 sort of thing, so I am not going to go over that.

8 [Slide.]

9 I am going to just show you the results of
10 that.

11 This shows you, this is the letter, and
12 this is the standard ATCC just to make sure we have
13 T. rubrum, and if you look at the other lanes, they
14 are all very similar, indicating that the isolates
15 obtained at sequential visits represented a single
16 T. rubrum isolate, so it is not something which
17 came new.

18 [Slide.]

19 The last possibility is, is it possible
20 that the patient failure is due to host-related
21 factors?

22 To do that, we know, as I told you, this

1 is part of the clinical trial, so the clinical data
2 was all available, it was reviewed, and for the 38
3 patients who failed, found the following points
4 which we thought could be really host related.

5 The first one, they had all these
6 patients, the 38, 53 percent of them have a history
7 of prior use of antifungals, so they have been
8 using antifungals for a long time.

9 Family history of onychomycosis, 60
10 percent of patients had one or more member of their
11 family with history of onychomycosis. Does it mean
12 that they are genetically predisposed? I think a
13 lot of people ask this question. I think maybe
14 there is some truth to it, but unfortunately, we
15 don't have the study that proved it.

16 The last one, 70 percent were over 45
17 years old. I am not saying this is old, I passed
18 that a long time ago, but in a study which we
19 published with Boni Elewski, what we did, we looked
20 at patients who are 53 years old and compared them,
21 and we found that people are more predisposed to
22 have onychomycosis if they are 53 years and older

1 compared to those 53 years and younger.

2 With that in mind, age is really a
3 contributing factor.

4 [Slide.]

5 Summary. Our data indicate that the
6 failure of patients to clear onychomycosis is not
7 related to resistance development with one
8 exception, that patient which we analyzed. Not due
9 to reinfection with a new *T. rubrum* strain, and may
10 be attributed to host-related factors including
11 family history of onychomycosis, prior antifungal
12 treatment, an age.

13 So, now I am going to try to put
14 everything together is these last two slides.

15 [Slide.]

16 Where are we with dermatophyte
17 susceptibility? I can tell you a method to measure
18 antifungal susceptibility is now established,
19 unlike before which we didn't have.

20 Only a few studies using this method have
21 addressed whether resistance existed or not, which
22 some of it you just saw.

1 Based on these studies, the resistance, I
2 don't believe it is a problem, most compelling data
3 at least for terbinafine, which I shared with you.

4 There is a lack of data concerning the
5 susceptibility profile of agents. The method is
6 new, nobody used it and look at it. We don't have
7 that.

8 For dermatophytes, unlike for yeasts, the
9 in vitro-in vivo correlation is also lacking, like
10 we need a lot of data from MIC and clinical data,
11 and try to see is there a correlation with the in
12 vitro data and the in vivo. We did this for the
13 yeast, and it was published as part of the NCCLS.

14 There is no breakpoints established for
15 any of the drugs, the breakpoints which can tell
16 you let's say 60 microgram is resistant, less than
17 it's susceptible. We don't have that for
18 dermatophytes. Obviously, the committee
19 established it for the yeast.

20 Now, information about the mechanism of
21 resistance is also not available. These strains
22 which I talked to you obviously we did some

1 molecular studies, and we believe there is a
2 mutation in the squalene epoxidase. This stuff is
3 not published yet, but that's about the information
4 as far as that is available for us on the mechanism
5 of resistance.

6 [Slide.]

7 What needs to be done? This is just me
8 sitting trying to think, okay, what do you think
9 should be done. Of course, it is up to the
10 committee to decide what they want to do.

11 We need to establish baseline data
12 concerning antifungal agents, which will also allow
13 us to observe trends. If we have a baseline at
14 least for the compounds which are available, we
15 know what is now, we can look after two, three
16 years, and that could give us information whether
17 there is an increase in MIC or not.

18 Surveillance studies to determine the true
19 frequency of antifungal resistance also should be
20 implemented.

21 Studies to establish in vitro-in vivo
22 correlation should be undertaken. Sometime this

1 could be done in animal models at the beginning
2 where you see there is strain with high MIC,
3 another strain with low MIC. You infect animal,
4 treat them, and then see whether it worked or not
5 based on the susceptibility.

6 Data should be collected on both clinical
7 and MIC from patients treated with various agents
8 in an effort to establish breakpoints for different
9 agents.

10 Finally, I believe MIC data using the
11 developed method should be collected as part of the
12 drug approval study. At least we know where the
13 agent is.

14 [Slide.]

15 These are the people in my lab who did the
16 work I just presented. Mary Bradley, who did the
17 DNA typing and followed the sequential. Nancy
18 Isham, a lot of the data which I showed you, she
19 did it. Steve Leidich is the molecular biologist
20 who really tried to understand the fact that we
21 have mutation at the squalene epoxidase. Pranab
22 Mukherjee also was helpful in the biochemical

1 characterization of these isolates.

2 Thank you very much.

3 DR. CANTILENA: Thank you, Dr. Ghannoum.

4 Any questions from the committee? Dr.

5 Katz.

6 Committee Discussion

7 DR. KATZ: That's a very nice

8 presentation. I just have one comment and one

9 question. The comment, as far as patient failure

10 and host susceptibility, and you question genetics,

11 there is work showing people, not with

12 onychomycosis, but with tinea pedis, with having

13 defective delayed hypersensitivity to Trichophyton

14 antigen in people who have familial tinea pedis,

15 clinically, right through four generations getting

16 tinea pedis.

17 So, that's in the literature some 20, 30

18 years ago.

19 DR. GHANNOUM: I just would comment I

20 think Nadir Ziaz and Tozzi from Italy, yes, I

21 agree.

22 DR. KATZ: My question on page 13,

1 characterization of the sequential T. rubrum
2 isolates with elevated MICs, I wondered whether you
3 note any clinical correlation with those with high
4 MICs, treatment failures or anything different
5 clinically.

6 Was that done or was it a laboratory--

7 DR. GHANNOUM: What we did was purely
8 laboratory, but then we went and looked at the
9 clinical data after this and tried to find a
10 correlation, and really, the only factors which we
11 found, as I said, age, and so on, but there was
12 nothing.

13 DR. KATZ: No correlation of failure?

14 DR. GHANNOUM: Not as much, no.

15 DR. CANTILENA: Thank you.

16 Dr. Wood.

17 DR. WOOD: I guess my questions are
18 directed sort of where we may end up going towards
19 labeling. I have I suppose a genetic resistance to
20 including stuff in labeling for which there is not
21 good data to support it.

22 So, I have a number of questions that

1 occurred to me in listening to this.

2 The first one is are there any data that
3 support a relationship between MIC or other
4 laboratory-measured resistance and outcome in terms
5 of efficacy in therapy. The reason I think that is
6 critical is that the data you show, shows most of
7 these isolates respond to less than 0.002
8 micrograms per ml, and this is a 1 percent topical
9 application, so even if you move one order of
10 magnitude, you are still vastly below the
11 concentrations that the organisms are exposed to.

12 So, the first question is are there data
13 that show a relationship between resistance and
14 outcome, and how rigorous is that given the
15 efficacy data that we saw earlier, which is not
16 terrific.

17 DR. GHANNOUM: I think this is a good
18 question. The data as far as dermatophytes are
19 concerned, I don't think they exist, as I said, but
20 we have data where there is an in vitro-in vivo
21 correlation for Diflucan, for example, and
22 oropharyngeal candidiasis, and that was published

1 by the NCCLS in Journal of Clinical Microbiology.

2 Based on that data, we had breakpoints.

3 This has not been done for dermatophytes, so
4 obviously, we need to have this collected, but that
5 data is robust, it was 600 patients. It showed
6 that--I can give you an example with fluconazole.

7 If the MIC is less or equal to 8
8 micrograms per ml, then, you consider it
9 susceptible, and the success rate was 90 percent.
10 If you go down in the MIC, let's say greater or
11 equal to 64, then, the outcome is about 60 percent
12 success rate.

13 Now, obviously, fungal infections, that is
14 one which is very important for us to consider, the
15 disease of immunocompromise. These patients, even
16 sometimes if you have a good correlation, it is not
17 necessarily that you are going to see success
18 because we have so many underlying factors which
19 need to be taken into consideration.

20 DR. WOOD: Well, 60 percent is still a lot
21 better than the outcome in the clinical trials on
22 Slide 19.

1 DR. GHANNOUM: Yes.

2 DR. WOOD: The second point relates to my
3 fear that some of this might appear in labeling.
4 Most of the host factors that you cite sort of
5 relate to a potentially circular argument, so the
6 fact that you have treated yourself before with an
7 antifungal may reflect the fact you didn't respond
8 to an antifungal before.

9 It may reflect even the fact that you
10 don't have a fungal infection, and you didn't
11 respond last time, and you don't respond this time,
12 and so on. I mean I could go through it, lots of
13 examples, but I think we have to be careful in
14 taking relationships that are not well documented
15 and including these as host factors that may affect
16 response to therapy when these are not part of a
17 randomized trial or appropriately controlled for.

18 DR. GHANNOUM: First of all, I go from the
19 back. I agree with you that it is very important
20 to do more of these and collect the data. The data
21 which I showed you is really what is available, and
22 that is the best thing we could do because we had a

1 set of isolates from patients who were enrolled in
2 clinical trial, and we had the clinical data, so
3 that is the best case scenario.

4 Now, one thing is really clear. In the
5 dermatology literature, really sometimes people
6 don't even do KOH or culture, and then they go and
7 treat, or they go sometimes and they say, look at
8 their feet, and we know, for example, although I
9 know we are talking about tinea pedis, but let's
10 say onychomycosis, 50 percent of the time the cause
11 of infection is nonfungal.

12 Again, here, I am sure there are some
13 other differential diagnosis which is included, but
14 if the treating physicians don't do the
15 identification and the KOH, and then you are right,
16 maybe they don't have that, but at least with the
17 limited amount of data, that is what I can say.

18 DR. CANTILENA: Thank you.

19 Dr. Benowitz.

20 DR. BENOWITZ: To follow up on the
21 comments of Dr. Wood, it would seem to me, since
22 the concentrations are so high topically, it should

1 never be a resistance issue, and one thing, I am
2 not sure it was your presentation or someone
3 else's, the question of just access, are these
4 topical preparations, is the drug getting to the
5 fungus, or is there some sort of barrier in the
6 skin or the keratin or something, why are we not
7 seeing 100 percent mycological cure in a week if
8 you are giving such high concentrations to
9 susceptible fungi.

10 DR. GHANNOUM: I think, as far as the
11 access is concerned, there are some studies where
12 they showed that there is skin level. Let's say,
13 for example, I know for at least two compounds,
14 itraconazole and terbinafine, there are some
15 studies by Fagerman where he showed they take, you
16 know, the skin shaving, and they were able to
17 isolate the drug, and the drug is there, so I think
18 it is reaching there.

19 Now, why they are not doing it, is it the
20 patient compliance, is it the fact that there are
21 other underlying diseases? I think this needs to
22 be addressed, so I have no idea.

1 DR. CANTILENA: Thank you.

2 Dr. Wilkerson.

3 DR. WILKERSON: I think that was an
4 excellent point. I mean we have obviously learned
5 today that we treat many and cure few. So, in this
6 situation, I don't think we really know the
7 pharmacokinetics.

8 We know that if we put 1 percent of
9 compound in a cream, that it works for a few
10 people, and one of the paradoxes has always been,
11 extending over to onychomycosis, here, we have got
12 something sticking out on the outside of the body,
13 yet to be treated effectively, you have to treat
14 someone orally instead of treating them topically.

15 My guess is that the nature of these
16 compounds is that we really don't reach very high
17 concentration effective levels. Otherwise, this
18 data doesn't make any sense.

19 At 0.002 micrograms per milliliter, we
20 ought to be overwhelming these things, and
21 obviously, there is a drug availability issue here
22 which has not been addressed in the studies to

1 date, so there is a problem there in terms of yes,
2 the drug is there, but it is not in a bioavailable
3 form, otherwise, it doesn't make any sense.

4 My second comment is that since we have
5 learned that we are not curing a whole lot of
6 people, I want to know what your feeling is if you
7 pulse treat someone with an antifungal versus
8 continually treating someone, knowing what we know
9 from the bacteriological literature that if you
10 exposed organisms to sublethal amounts, are we, in
11 fact, going to grow numerous strains of resistant
12 organisms, and if we are looking at prophylaxis,
13 which may come into our labeling later on here, is
14 it better to pulse someone with higher
15 concentrations and have holiday periods, or is it
16 better to treat them continuous.

17 Do we know that fungi and bacterial
18 resistance behave in the same way? Along those
19 lines, do fungi lose their resistance over time if
20 they are no longer exposed to the drug, or do they
21 maintain it like bacteria do?

22 DR. GHANNOUM: I agree with you with the

1 first one, but as far as pulse versus continuous--

2 DR. WILKERSON: I am speaking strictly
3 topical, not oral therapy.

4 DR. GHANNOUM: Yes. I really believe when
5 you have a fungal infection, it is better to treat
6 it continuously, at least from our experience. You
7 see, in dermatophytes, we don't have much
8 information, but our experience with the other
9 agents, again, like Diflucan, we noticed why did we
10 have resistance there develop? Because they
11 underdose, they use to give 50 milligram.

12 So, definitely, it's a recipe for
13 resistance development, and we know in the lab if
14 you take something, put it in some concentration,
15 after some time you see MIC climbing. So, as a
16 microbiologist, I believe it is very important to
17 give continuous therapy to at least eliminate the
18 chance of the resistance development.

19 The last question was?

20 DR. WILKERSON: Over time, if you have a
21 resistant organism, does it lose its resistance, or
22 does it maintain it like most bacteria do over a

1 period of time once a drug is no longer present?

2 DR. GHANNOUM: I think, again from our
3 knowledge with the other agents, it keeps it. I
4 have a strain, for example, took from a patient.
5 The baseline was susceptible. After 15 episodes,
6 its resistant MIC is quite high, and we have been
7 using it for a long time now as controls, and it is
8 still there, so I don't think it loses it.

9 DR. WILKERSON: The obvious implication
10 for this is that we need to have regimens that lead
11 to the highest rate of eradication as possible as
12 opposed to just maintaining the infectious state.

13 DR. GHANNOUM: Right.

14 DR. CANTILENA: Thank you.

15 We have a question from Dr. Whitmore.

16 DR. WHITMORE: What Mike was just saying
17 about failure rates and why we have such high
18 failure rates, one of the things that is different
19 with topicals versus systemic is if somebody tells
20 you they take a pill, you know they have swallowed
21 it. They might not absorb it properly, but you
22 know at least they have swallowed it.

1 If somebody tells you they have put their
2 antifungal on their tinea pedis, they might have
3 put it just right in that one crack, who know how
4 they are applying it.

5 DR. GHANNOUM: Also, a lot of time we have
6 the same shoes, I mean they use the same shoes, we
7 have the environment which is humid, and really,
8 there are many, many factors which you need to
9 address, and unfortunately, we really don't have
10 relative good data.

11 I mean I am new to the area of
12 dermatophytes, my work, you know, time passes so
13 quickly, the last eight, nine years I have been
14 focusing a lot on dermatophytes, but before that,
15 when I came into it, I tried to look at, there
16 isn't relevant information. So, I think it is very
17 important for us to have a better understanding of
18 the pathophysiology of the disease.

19 DR. CANTILENA: Any further questions?

20 Okay. If not, we will pause for lunch now
21 and return to start the open public hearing at 1
22 o'clock.

1 [Whereupon, at 11:40 a.m., the proceedings
2 were recessed, to be resumed at 1:00 p.m.]

1 with your attendance at the meeting. Likewise, FDA
2 encourages you at the beginning of your statement
3 to advise the committee if you do not have any such
4 financial relationships.

5 If you choose not to address this issue of
6 financial relationships at the beginning, it will
7 not stop you from speaking at the meeting.

8 Now that that is in the record, I think if
9 you look at the open public hearing, who is
10 scheduled, I think that we probably won't have a
11 hard time with the conflict of interest, but it is
12 something we have to do anyway.

13 I will ask the committee actually to hold
14 your questions until the end of the entire session
15 and then we will have time for questions and
16 answers at the end of the time period.

17 The first group in the open public hearing
18 is the Consumer Healthcare Products Association who
19 will lead off and then I will ask you to then
20 introduce the other speakers for the open public
21 hearing.

22 Thank you.

1 Open Public Hearing

2 Consumer Healthcare Products Association

3 Doug Bierer, Ph.D.

4 DR. BIERER: Good Afternoon. My name is
5 Doug Bierer. I am the Vice President of Regulatory
6 and Scientific Affairs for the Consumer Healthcare
7 Products Association.

8 CHPA represents the vast majority of the
9 distributors and manufacturers of topical
10 antifungal products which are used for the
11 treatment of tinea pedis.

12 Our presentation today from industry will
13 consist of three parts. In the first part of our
14 presentation, Dr. Boni Elewski, who is a well-known
15 clinical dermatologist and expert in the field of
16 topical fungal diseases, will talk about clinical
17 endpoints, resistance, and safety.

18 I will provide a few comments about the
19 reported lack of efficacy, as well as some
20 suggestions for enhanced labeling for OTC
21 antifungal drug products.

22 The second portion will be turned over to

1 Schering-Plough Consumer HealthCare products, and
2 the third portion will be Novartis Consumer Health,
3 and they will make their separate presentations.

4 Dr. Boni Elewski, as I mentioned before,
5 has considerable experience both as a practicing
6 dermatologist, as well as clinical researcher, for
7 fungal infections. She is very widely published
8 about cutaneous fungal infection and shared
9 guidelines of Care Committee for the treatment of
10 tinea pedis for the American Academy of
11 Dermatology.

12 Boni E. Elewski, M.D.

13 DR. ELEWSKI: Good afternoon, everyone,
14 Mr. Chairman, ladies and gentlemen. It is my
15 pleasure to talk to you today about one of my
16 favorite topics, tinea pedis.

17 Before I begin my discussion, I want to
18 add that I am a consultant under this capacity,
19 working with CHPA, and I have also have been, and
20 am, a consultant for many of the companies whose
21 products will be discussed today, including both
22 Novartis, who will be discussing today, and

1 Schering-Plough.

2 [Slide.]

3 So, I am going to spend some time
4 reviewing the scope of the problem, and then we
5 will look at strategic issues regarding this
6 problem.

7 Tinea pedis is a common fungal infection
8 caused by the dermatophyte fungi. The most common
9 is Trichophyton rubrum. I happen to really like
10 Trichophyton rubrum. Its first case in the United
11 States, interestingly enough, was in Birmingham,
12 Alabama, in 1922. I happen to live in Birmingham,
13 Alabama, and so I tell my patients that we live in
14 the fungus capital of the world, and I believe that
15 actually.

16 It is an infectious disease that affects
17 the interdigital spaces and contiguous skin and has
18 been mentioned this morning, it affects up to 70
19 percent of the population.

20 Dr. Rappon, in his textbook, wrote that
21 our lifetime risk factor of getting tinea pedis
22 living here in the United States is 70 percent, and

1 it's more likely to get it if you do certain
2 habits, such as you go to swimming pools or gyms or
3 health spas, and there have been a lot of data
4 coming from large studies and surveys looking at
5 how common it is among swimmers, among people who
6 go to gyms, and so forth, but most of the data from
7 swimmers is very compelling, and people who go to
8 swimming pools at any age have a very high risk of
9 getting tinea pedis, which again confirms that it
10 is an infectious disease.

11 [Slide.]

12 Well, what does it look like? What we see
13 is generally a dry, scaling process in the toe
14 webs, most common between the third and fourth, and
15 fourth and fifth web space.

16 As you see here, this is a typical patient
17 with interdigital tinea pedis. Keep in mind that
18 interdigital tinea pedis is what we are talking
19 about today, not moccasin tinea pedis, but
20 particularly interdigital tinea pedis.

21 I would like to say as a sidebar that if
22 this patient came into my office with a simple

1 scaling process like you see, and this scale in
2 between the toe webs, the treatment of choice would
3 be a topical antifungal.

4 A topical antifungal would be my
5 preference, much better over an oral antifungal
6 because it will be applied directly to the
7 infection, as I will be addressing later. I would
8 not recommend an oral antifungal for treating this
9 simple scaling process

10 [Slide.]

11 So, interdigital tinea pedis is very
12 easily recognized by the consumer. It is common.
13 People who have it often have friends and relatives
14 who have it. They go to the gym, and their
15 colleagues at the gym, friends at the gym, friends
16 at the swimming pool had it, so they know what it
17 looks like.

18 It also has consistent symptoms, itching
19 and burning in the toe webs. There is also
20 consistent signs - erythema or redness, scaling,
21 hyperkeratosis, and fissures or cracking, and these
22 are also the same signs that we capture when we do

1 a study.

2 [Slide.]

3 So, what do we do for treating tinea pedis
4 over the counter? Well, first of all, I am happy
5 to say that there is a large selection of effective
6 over-the-counter antifungal drugs available for
7 treating tinea pedis, some of which are
8 monographed, and some are NDA switches at the full
9 prescription strength.

10 I would like to add that because they are
11 both prescription and over the counter for some of
12 these drugs, over the counter is as effective as
13 the prescription topical antifungal and as safe as
14 the prescription topical antifungal.

15 [Slide.]

16 So, how do we treat tinea pedis over the
17 counter? Well, number one, you apply the antifungal
18 to the affected area and to the adjacent skin once
19 or twice a day as recommended by the manufacturer.
20 You also treat for one or four weeks as recommended
21 on the OTC label.

22 Keep in mind that the signs and symptoms

1 generally improve during or shortly after the
2 treatment course. That is a point I will come back
3 to, because I think it was a very important point
4 to address this morning.

5 [Slide.]

6 What is the real purpose to treat this
7 infectious process? Well, the real objective is to
8 eliminate the dermatophyte, to eliminate the
9 fungus. The fungus resides, in this particular
10 process, it's a superficial fungal infection and it
11 resides in the outer layers of the stratum corneum,
12 as you see here.

13 I don't have a pointer, but it's these
14 blue little dots that you see in the outer layer of
15 the skin. The red part here is the stratum
16 corneum, and then you are getting into the
17 epidermis and dermis, the lower part of the
18 epidermis and the dermis here, below there.

19 So, the very outer layer of the stratum
20 corneum is where the infection resides, and because
21 it is in the outer layer of the stratum corneum,
22 when you apply topical antifungal, it is going to

1 exceed the MIC of the dermatophyte. It will reach
2 the area.

3 You won't have to worry about it getting
4 there, because it will get there because you are
5 applying it right on top of where the fungus is
6 residing, so it is getting to the area and easily
7 will exceed the MIC of the organism. This is not an
8 issue.

9 It may be an issue, however, if you use an
10 oral agent, because if you take an oral agent to
11 treat this, you have to get it absorbed, it may
12 have to be metabolized, and then has to get into
13 the skin.

14 It is either going to get into the skin
15 through passive diffusion, through excretion
16 through the sweat, or through excretion through the
17 sebum, which is why a drug like amphotericin B,
18 which is, of course, a very potent drug for
19 treating systemic infection, does not work at all
20 for interdigital tinea pedis, because it will not
21 get into the superficial layer of the stratum
22 corneum at high enough levels to kill the

1 dermatophyte.

2 So, many patients at our institution who
3 come in with a systemic infection and are given a
4 drug, such as amphotericin B, may still have
5 dermatophytosis.

6 [Slide.]

7 So, topical antifungals, the message is,
8 are very effective applied to these superficial
9 infections. So, what happens after you apply the
10 topical antifungal during and after the course of
11 treatment?

12 From my experience seeing patients, and I
13 see patients almost every day, I am in the medical
14 dermatology trenches, and I have been doing this
15 for close to 25 years, itching and burning and
16 generally alleviated very early in the therapy.
17 Fortunately, for patients who suffer with itching,
18 shortly after you start applying it, the itching
19 and burning seem to go away.

20 As mentioned however this morning, some
21 clinical signs may take longer to resolve, and in
22 some instances, from my experience, may not fully

1 resolve at all. There may be erythema or
2 inflammation, scaling and hyperkeratosis, and the
3 fissures and cracking.

4 [Slide.]

5 So, let's explore these healing dynamics
6 and why one process may resolve faster and one
7 takes longer to resolve, and what might be
8 underlying risk factors that cause one person to
9 heal at a different rate than another person.

10 First, the erythema. Erythema again is
11 redness. Erythema is inflammation in the skin, and,
12 of course, it's a response to the infection of the
13 dermatophyte in the stratum corneum. As the
14 dermatophyte is eliminated erythema is improved.

15 One of the speakers this morning showed
16 the erythema going away, and it generally goes away
17 fairly quickly, but now always. Occasionally, you
18 have erythema at the end of treatment, and I will
19 address those points later.

20 [Slide.]

21 Scaling and hyperkeratosis is also caused
22 by the dermatophyte living happily in the stratum

1 corneum, but occasionally, scaling and
2 hyperkeratosis may not completely resolve after the
3 dermatophyte is eliminated.

4 One, patients may have an anatomic
5 occlusion, they may have a hammertoe, they may have
6 toe overlap. They may have arthritis where two
7 toes are rubbing against each other, causing some
8 friction or causing some scale or a callus from the
9 anatomy of the patient or the deformity of the
10 foot.

11 We also may have pre-existing skin
12 diseases, and one may have psoriasis. Someone else
13 may have atopic eczema, and as a sidebar, people
14 who are atopic or have an atopic diaphysis have the
15 highest rate of getting tinea pedis to begin with,
16 and these people may also have underlying atopic
17 dermatitis, which could be some reason why they may
18 have some scale and hyperkeratosis.

19 Some people I like to refer to also as
20 fast healers. Remember we have to wait until the
21 skin turns over, which can take four weeks and some
22 patients even longer.

1 Other people are faster healers, and since
2 we are arbitrarily assessing this data at one given
3 point in time, it may vary from person to person,
4 because we are all people, we are all different,
5 and no two people do anything exactly the same.

6 So, from my experience, residual scaling
7 and hyperkeratosis is not uncommon after the
8 elimination of the dermatophyte. Most always it
9 eventually goes away, but occasionally, you still
10 have some that may be totally unrelated to the
11 dermatophyte.

12 It may be that they had a soft corn, it
13 may be that they had a hammertoe, it may be that
14 they have something else. So, these studies may
15 not take all this data into account, they are just
16 taking in the dry data, scale, is there a flake, is
17 there some redness, and we will come back to that
18 point in a few moments.

19 [Slide.]

20 Next, fissures or cracking. Again, this
21 may occur due to the presence of a dermatophyte in
22 the stratum corneum, although you can have fissures

1 and cracking due to other reasons, too, if someone
2 has a hammertoe or someone has a toe overlap or
3 some other anatomical occlusion, they may have
4 fissures for other reasons.

5 But resolution of fissures generally is
6 fairly reliable except some fissures are very deep,
7 and then it may take longer for them to resolve, or
8 someone may have other confounding factors that may
9 delay healing, such as they have peripheral
10 vascular disease, and so forth, and that is not all
11 excluded in patient studies or in your real
12 practice, of course.

13 So, from my experience, occasionally, you
14 see fissures and cracking after the elimination of
15 the dermatophyte, but from my experience, it is
16 much less common to see than simple scaling after
17 the elimination of the dermatophyte.

18 [Slide.]

19 So, we have to have a system of evaluating
20 all this in our practice, but more importantly,
21 since the issues is studies, in our studies.

22 Study methodology are twofold. One, the

1 microbiology parameters, microbiological
2 parameters, and, two, clinical efficacy parameters.

3 [Slide.]

4 Well, what are microbiological parameters?

5 We addressed this, let me recap. First, we have
6 the KOH. A KOH shows the presence or absence of
7 fungal elements. It does not capture, however,
8 whether the fungal elements are dead or whether
9 they are alive. You don't know. You just know
10 that the fungus is there or that it's not there.
11 That is an important point, we will come back to
12 that.

13 We also a fungal culture which identifies
14 the organism by the genus and by the species. It
15 also tells you whether there is viable fungi there.
16 So, sometimes you can use the positive or negative
17 fungal culture and correlate it with the KOH to see
18 whether they are viable or nonviable, but again, we
19 don't have a lot of data to look at that, but the
20 main objective is mycological cure.

21 Mycological cure, by the definition that
22 we live by is negative KOH and negative culture.

1 In most studies, from looking at the data presented
2 this morning, it appeared that more than half the
3 people in the studies had a mycological cure of
4 about 80 percent or higher.

5 That doesn't surprise me, and I would
6 predict that those who are not mycologically cured
7 probably had some persistent scale, and the
8 persistent scale had some nonviable fungal
9 elements, and the nonviable fungal elements made
10 the mycological cure falsely low.

11 So, what I am getting at it is in my
12 experience, I think we can cure a lot of patients
13 with interdigital tinea pedis, it depends on how we
14 define cure, and we are going to hit that again in
15 just a moment.

16 [Slide.]

17 So, we have the microbiological
18 parameters. Now, let's look at the clinical
19 efficacy parameters. Here, we have two. Complete
20 cure, that means mycological cure plus absolutely
21 no sign and absolutely no symptom. Effective
22 treatment. Effective treatment is mycological

1 cure, so negative KOH, negative culture, plus no
2 more than mild signs or mild symptoms.

3 Again, in a study, most studies are
4 generally looking at this in a 4-point scale, zero
5 being absent, 1 mild, 2 moderate, 3 severe. So,
6 when the patient or the subject was enrolled in the
7 study, they were moderate to severe in one of the
8 parameters, and at the end of the study they may
9 end up as mild.

10 That can be very frustrating to me as an
11 investigator, especially when I have a patient who
12 is totally clear clinically, not a flake to be
13 found, not a fissure to be seen, no erythema
14 lurking in the toe webs, yet, they murmur "but it
15 itches" or "I think it itches." Then, I have to say
16 perhaps mild itching, so they would be a failure,
17 it can be very frustrating.

18 It also might be frustrating if I see
19 something, such as erythema, but my gut feeling is
20 that the erythema or the flakes of scale I see, or
21 a little callus I see is not due to the tinea
22 pedis, but it is due to a toe overlap or due to an

1 anatomic occlusion.

2 Nonetheless, it is there, it may be better
3 because we got rid of the dermatophyte, but the
4 fact that it is there, I will have to tick "mild,"
5 which will bring the whole results of the data
6 down. So, keep all that in mind.

7 [Slide.]

8 Which brings me to the point, when we say
9 cure, what do we mean by cure, what is meaningful?
10 How I do this in my practice and how I do this when
11 I look at the data from a study, if I am evaluating
12 a drug, what are my personal objectives?

13 Well, objective number one is you want to
14 eliminate the dermatophyte. After all, it is an
15 infection, and that is how we define these to begin
16 with. They are dermatophytosis, so you want to
17 eliminate the dermatophyte. So, by the definition
18 we are using, we would have to say mycological
19 cure.

20 Also, for practical purposes, no more than
21 mild signs and symptoms, and I am going to stick
22 with that, because there are frequently a patient

1 at the point of time that the study ends, their
2 symptoms are on the way down, and they went from
3 severe to moderate, and now there is a flake of
4 scale or a speck of erythema, and they are still
5 improved, but not 100 percent, or they may have
6 some underlying process that causes this.

7 [Slide.]

8 So, when I say "mild," the mild could be
9 due to the fact that they have an underlying
10 dermatosis, they have psoriasis which we are not
11 capturing, they have eczema, they have xerosis.

12 We talked about this morning that people
13 who have dry feet, also often have fungal
14 infections, but you can't say that everyone with a
15 dry foot has fungal infections, and if you think
16 that, we will look at everyone's feet here, we can
17 do cultures and see how many of you have fungal
18 infections if you have dry feet. I doubt that we
19 would correlate that with a very high figure.

20 Also, keep in mind that treating tinea
21 pedis with an antifungal cream will certainly not
22 make the skin better than it was prior to the

1 infection, it can't be done, so all we can do is
2 restore the skin to the baseline status it was
3 before the patient acquired the infection.

4 [Slide.]

5 So, what I think is the most meaningful
6 datapoint that I like to hold my hat on is
7 effective treatment. Effective treatment means the
8 dermatophyte is eliminated and we have improved the
9 patient significantly, down to just a trivial
10 point, which may be and probably is unrelated to
11 the process, or if it is related, it is going down
12 a slower slope than other patients.

13 [Slide.]

14 So, clinical insights that I have built up
15 now. Current over-the-counter antifungal drugs, in
16 my opinion, deliver very safe and effective
17 treatment especially since we are treating an
18 infection that is in the very superficial layers of
19 the skin. When you apply an antifungal to this
20 area, you are getting it onto the infective
21 organism and killing organism.

22 In my opinion, the clinical meaningful

1 endpoint is effective treatment. Because of this,
2 I don't think dose response studies are needed,
3 because topical antifungals easily reach the
4 dermatophytes in excess of the MICs.

5 [Slide.]

6 Likewise, as Dr. Ghannoum so eloquently
7 pointed out today, there is really no concern about
8 dermatophyte antifungal resistance, and even if
9 there were organisms that had a borderline
10 resistant issue, because you are applying it in
11 such a high amount, it will readily exceed the MIC
12 of the dermatophyte living in the very superficial
13 layers of the skin.

14 This is not the same situation as we have
15 with oral drugs where you have to worry about them
16 getting there in high enough numbers, and there are
17 so many factors that can confound that from
18 happening, whether the patient absorbed it
19 correctly, whether there is any other process that
20 might have impaired the drug from getting to the
21 target point of infection.

22 So, in my experience, when used as

1 directed, topical antifungals are very effective at
2 eliminating the fungus.

3 [Slide.]

4 There are a couple of other issues I want
5 to address. One came up this morning as secondary
6 bacterial infections. As we have discussed, there
7 are rare reports of secondary bacterial infections,
8 i.e., cellulitis associated with tinea pedis.

9 In my 25 years of being out in the
10 trenches, seeing patients, I have never seen a
11 patient with interdigital tinea pedis or moccasin
12 tinea pedis developing a bacterial cellulitis. I
13 have never seen it. I know it has been reported, I
14 am aware of the literature.

15 The explanation for this the authors have
16 postulated is that the presence of the dermatophyte
17 damages the stratum corneum, causing loss of
18 barrier function, resulting in microfissures or
19 obvious fissures that serve as portals of entry for
20 secondary bacterial infection.

21 Having said that, it would make even more
22 sense to state that prompt and effective treatment

1 is clearly essential, and therefore,
2 over-the-counter topical antifungals are important
3 because they eliminate the dermatophyte to allow
4 the skin to naturally replace itself and restore
5 its barrier function.

6 I will leave you back with Doug.

7 Doug Bierer, Ph.D.

8 DR. BIERER: I would like to provide some
9 perspective on lack of efficacy reports that have
10 been reported by FDA.

11 [Slide.]

12 FDA reported that 35 percent of all
13 adverse events of topical antifungal agents were
14 due to lack of efficacy. This is from their AERS
15 database. The database actually goes back in the
16 late sixties and encompasses about 30 years. It
17 includes reports of both OTC, as well as Rx drugs.

18 In those reports, it is unclear whether
19 the reports of lack of efficacy were specifically
20 related to tinea pedis or perhaps one of the other
21 labeled indications, or actually may have been used
22 for another disorder all together.

1 In order to help put these lack of
2 efficacy reports into perspective, CHPA looked at
3 lack of efficacy reported for OTC topical
4 antifungals related to the number of units sold.

5 [Slide.]

6 CHPA collected the number of lack of
7 efficacy reports from seven OTC manufacturers, who
8 actually distribute probably the vast majority of
9 OTC antifungal products used to treat tinea pedis,
10 and we looked at the years from 1999 to the year
11 2003, over a four-year period.

12 We saw or found that there was 1,468
13 reports of lack of efficacy, but during that same
14 period of time, greater than 180 million units were
15 sold and used by consumers. If you translate this
16 out, this calculates into less than 9 lack of
17 efficacy reports per million units sold.

18 Even if these are underreported, as people
19 have commented this morning, it still is a very low
20 rate of lack of efficacy reports for such a drug.

21 One of the other areas that we wanted to
22 talk about was that we believe that some of the

1 concerns raised by the FDA perhaps can be handled
2 through enhanced labeling of OTC antifungal
3 products, and I would like to take you through a
4 couple of our suggestions that we have.

5 We believe that these should be applied to
6 not only OTC monograph products, but also OTC
7 products which are regulated under new drug
8 applications or NDA.

9 [Slide.]

10 As we talked a little bit earlier this
11 morning, and I just mention lack of efficacy,
12 actually could be due to some consumers stopping
13 treatment prematurely, not completing the full
14 course of therapy.

15 FDA had suggested that we may want to look
16 at different devices for showing consumers what
17 could be expected, and one of the suggestions was
18 perhaps looking at GRASE or tables on package
19 labels. We believe that these are quite confusing
20 to consumers since most consumers cannot understand
21 data or tables, and overwhelming consumers with
22 complicated data should be avoided. However, we

1 believe that consumers do need simple and concise
2 label statements of how to use the products in
3 order to achieve the maximum benefit from the
4 products.

5 [Slide.]

6 Therefore, we are proposing that we add
7 the statement under directions for all OTC
8 products, which is use daily as directed for the
9 full treatment time even if symptoms improve.

10 [Slide.]

11 Also, along directions, the FDA asked
12 whether labeling should convey lag time between the
13 completion of treatment and the resolution of
14 symptoms, and we believe it is also helpful to
15 educate consumers on what can be expected under use
16 conditions.

17 [Slide.]

18 Therefore, we are proposing to add another
19 statement for directions for one-week use products,
20 that symptoms may continue to improve after one
21 week of treatment as the skin naturally replaces
22 itself.

1 As you heard this morning, the use of
2 one-week products, there is an increase in efficacy
3 and clinical cure, as well as effective treatment,
4 as time progresses beyond the one week, so we
5 believe this statement would be important for
6 one-week products.

7 [Slide.]

8 Lastly, we believe that to address the
9 FDA's concern about secondary bacterial infection,
10 that is, cellulitis, we propose adding labeling
11 information about when to see a doctor.

12 [Slide.]

13 We would propose to add a new statement,
14 which is new symptoms develop or condition worsens,
15 and this statement would be added after the phrase,
16 "Stop use and ask a doctor if--the warning part,
17 which is currently on OTC product labeling--so, the
18 statement would read, "Stop use and ask a doctor
19 if"--bullet point--"new symptoms develop or
20 conditions worsen."

21 [Slide.]

22 This slide just reviews the three proposed

1 label additions that we would recommend to enhance
2 labeling for OTC drug products, and we believe that
3 these will not only reinforce consumer compliance,
4 but also further decrease the potential of serious
5 adverse events.

6 DR. ELEWSKI: Let me conclude with some
7 key points.

8 [Slide.]

9 First, clinical cure, as I mentioned, I
10 feel should be defined as effective treatment.
11 Dose response studies are not needed because
12 topical antifungals easily reach dermatophytes in
13 excess of MICs.

14 Dermatophyte resistance is not a concern.
15 The risk of secondary bacterial infections is very
16 low. OTC antifungals play an important role by
17 restoring the barrier function of the skin and
18 allowing the skin to naturally replace itself.

19 [Slide.]

20 The proposed enhanced labeling will
21 reinforce consumer compliance and decrease
22 potential serious adverse events. Current OTC

1 products are safe and provide effective treatment
2 when used as directed.

3 Now, we are going to take a few questions
4 if you have any now.

5 DR. CANTILENA: Actually, I think we are
6 going to hold questions, and we will have all three
7 groups go ahead and speak, and then we will do
8 questions at the end.

9 DR. ELEWSKI: Okay.

10 Schering-Plough

11 John Clayton, M.D.

12 DR. CLAYTON: Good afternoon. I am John
13 Clayton, Senior Vice President, Scientific and
14 Regulatory Affairs for Schering-Plough HealthCare
15 products.

16 I think the conflict of interest is
17 obvious. My paycheck comes from there.

18 I certainly welcome the opportunity to
19 share with you Schering-Plough's experience and
20 views over a number of years of marketing
21 over-the-counter OTC products for treating topical
22 fungal infections, as well as Rx products.

1 [Slide.]

2 Our agenda for the afternoon, for my
3 presentation, is to share with you based on our
4 marketing history, our clinical experience,
5 consumer experience, some consumer research, our
6 recommendations and conclusions that hopefully will
7 be helpful to you in your deliberations.

8 [Slide.]

9 By way of background, Schering-Plough has
10 been a leader in research and marketing of Rx and
11 OTC topical antifungals for the treatment of tinea
12 pedis for more than 40 years.

13 [Slide.]

14 We began marketing tolnaftate in the
15 sixties and actually developed tolnaftate in this
16 country, as well as clotrimazole in this country,
17 for tinea pedis.

18 Products currently that Schering-Plough
19 markets OTC represent about 44 percent of the units
20 sold in the U.S., and the brands include those
21 listed on this slide, some of which you hopefully
22 are familiar with, and the antifungal agents used

1 in these products are betenafine hydrochloride,
2 clotrimazole, tolnaftate, or miconazole nitrate.
3 All of these ingredients have marketing history Rx
4 and were approved under NDAs originally.

5 [Slide.]

6 In terms of clinical experience,
7 obviously, the ages of these products is different,
8 as was suggested in presentations this morning.
9 Therefore, the clinical endpoints, the designs of
10 clinical trials, while state of the art in their
11 day, vary, but overall, the clinical trials through
12 a variety of designs have demonstrated the safety
13 and efficacy of these products at the current
14 dosing levels.

15 Even though the study endpoints have
16 changed dramatically over these past four decades,
17 significant clinical efficacy has consistently been
18 demonstrated for all of these ingredients through
19 various types of clinical trials.

20 [Slide.]

21 I certainly concur, the company certainly
22 concurs with the presentation and recommendation of

1 CHPA presented through Dr. Elewski, that complete
2 cure endpoint definition is an unrealistic
3 parameter of efficacy based on the natural course
4 of healing. That is, it truly understates the
5 efficacy of these products.

6 We believe that it is a more appropriate
7 indicator, the effective treatment, which is
8 defined as negative mycology, both culture and KOH,
9 and minimal erythema and scaling is a more
10 appropriate descriptor of efficacy.

11 [Slide.]

12 In our consumer experience, just looking
13 at the data that we have collected over the past
14 12, 13 years of more than 230 million units sold of
15 our various antifungal ingredients, that extensive
16 patient and consumer experience confirms that these
17 products are very effective.

18 In our experience, the complaint rates
19 regarding lack of efficacy have been extremely low,
20 2 per million calculating over the past 5 years,
21 which I think is the most meaningful data that we
22 have.

1 The consumer letters that we received
2 unsolicited, surprisingly enough, almost achieve
3 the same level, indicating to us the success that
4 they have had with a variety of products, anecdotal
5 for certain, but the fact is the consumers appear
6 to be satisfied with the products.

7 [Slide.]

8 One of the more quantitative and
9 structured ways that we have used to achieve
10 information about consumers that use these products
11 is through a consumer tracking study. We have
12 conducting the study annually over the past 10
13 years to get the views and practices that consumers
14 will share with us.

15 These are consumers that actually suffer
16 athlete's foot, have suffered athlete's foot within
17 the preceding 12 months. The most recent tracking
18 study that we completed was in October of last year
19 that included 350 consumers between the ages of 18
20 and 64 years of age.

21 As I said, they reported they had suffered
22 from athlete's foot within the previous 12 months,

1 and distribution was 70 percent male and 30 percent
2 female, which in the variety of studies we have
3 done, this seems to be about the--I will say
4 incidence, but in terms of usage of products to
5 treat--it is about 70 percent male, 30 percent
6 female.

7 This particular research study was done by
8 way of the internet.

9 [Slide.]

10 A number of interesting observations out
11 of the study. Consumers purchase the OTC topical
12 antifungals driven by the need for symptom relief.

13 Universally, consumers that suffer the
14 itching and burning of athlete's foot will
15 self-treat, 95 percent of the time they will seek
16 some type of therapeutic agent to treat their
17 condition. Approximately, 80 percent purchase
18 4-week products, and approximately 20 percent
19 purchase products, I have less than or equal to
20 4-week.

21 As was noted this morning, one product has
22 labeling for 1 week, another product has labeling

1 for 1 or for 4 weeks, an optional dosing regimen,
2 the difference being two times per day for the
3 1-week treatment versus one time per day for the
4 4-week treatment.

5 Again, intense itching and burning are the
6 primary drivers, and 69 percent rate the symptoms
7 as bothersome or very bothersome.

8 [Slide.]

9 Consumers tell us that relief begins
10 within a matter of a few days following initiation
11 of treatment. I am sure we are talking about the
12 bothersome symptoms that drove them to buy the
13 product in the first place, so 60 percent say that
14 these products, in their opinion, provide fast
15 relief of their symptoms.

16 Consumers also tend to discontinue use of
17 these products despite the labeling upon achieving
18 relief of their symptoms.

19 We found in our survey in September of
20 last year that the average treatment period was 7.3
21 days.

22 [Slide.]

1 You may say that probably has been
2 influenced by newer products that offer alternative
3 dosing regimens, but looking back at our 1997
4 study, similar design, consumers reported an
5 average treatment time of 8.8 days at that point in
6 time, so none of the shorter course therapy
7 products were labeled for shorter use back in 1997
8 OTC.

9 Interesting, too, is that only 6 percent
10 report that they treat greater than 14 days, again
11 driven by relief of symptoms, discontinuation of
12 use of product.

13 More than 50 percent treat 5 days or less
14 on average.

15 Despite that, the consumer satisfaction
16 with OTC antifungals was extremely strong.

17 One point that is not on target with the
18 treatment time, but interesting, that I wanted to
19 share with you, is that 42 percent of the
20 individuals in our most recent study indicated that
21 they are experiencing athlete's foot less often now
22 than they were 2 years ago.

1 We don't have a reason for that, we can
2 speculate that it is a change in footwear,
3 improvement of the hygiene, I am not sure what it
4 might be, but it was interesting to note that they
5 are complaining less frequently.

6 [Slide.]

7 Our conclusions from the consumer research
8 data are that consumers are highly satisfied with
9 the performance of currently marketed OTC products.

10 Consumers consistently experience fast
11 relief of symptoms, as was noted that more than 60
12 percent have reported that. Therefore, most
13 consumers do not use the product for the entire
14 label treatment period, yet, are driven by the
15 symptom relief that they get from the products.

16 [Slide.]

17 As a result of this, while the information
18 that we have on lack of efficacy in our experience
19 is that it is reported infrequently, we still
20 believe that based on the consumer research
21 learnings, that there is a likelihood that we could
22 improve the efficacy rate for consumers by

1 reinforcing the need to apply these products
2 throughout the entire directed labeled treatment
3 period.

4 We do think that therapeutic success can
5 be enhanced, and similar to what CHPA presented, we
6 recommend that the Direction Section of the label
7 include a statement to remind the consumer to use
8 daily, as directed, for the full treatment period
9 even as their symptoms improve.

10 [Slide.]

11 Dr. Bisno gave us a lecture this morning
12 and education on the complications of tinea pedis
13 and particularly as it relates to cellulitis or the
14 potential for cellulitis to develop.

15 In our experience, it is extremely rare,
16 and I won't rehash the discussion of the morning.

17 [Slide.]

18 Despite that, we believe that because of
19 concerns of other infections that may occur either
20 through improper usage of the product, that is,
21 using the product for a short duration of period,
22 or because they have mischaracterized their

1 condition, that an additional warning statement
2 should be added to products, that if there is no
3 improvement, stop use and ask a doctor if there is
4 no improvement within a matter of days, and I am
5 not sure that 14 is the right number of days, but
6 within some reasonable period of time, or if the
7 condition worsens.

8 [Slide.]

9 Our conclusions are that the current
10 products are very effective in treating tinea pedis
11 as demonstrated through a variety of clinical
12 trials and through our consumer satisfaction
13 testing.

14 Products are extremely safe based on
15 extensive marketing history.

16 We believe that the effective treatment is
17 the appropriate clinical endpoint for making
18 decisions about efficacy of product, and that we
19 support the enhancement of existing product
20 labeling to improve consumer compliance, as well as
21 treatment success.

22 Thanks very much.

1 DR. CANTILENA: Thank you.

2 Our final presentation in the open public
3 hearing is from Novartis.

4 Novartis

5 Helmut H. Albrecht, M.D., M.S., FFPM

6 DR. ALBRECHT: Good afternoon, Dr.

7 Cantilena, members of the Committee, Drs. Wilkin
8 and Ganley, FDA staff.

9 [Slide.]

10 I am Dr. Helmut Albrecht, Vice President
11 of Clinical and Medical Development at Novartis
12 Consumer Health.

13 As a leader and innovator in the topical
14 antifungal category, we are here to discuss how
15 terbinafine fits into today's dialogue,
16 specifically, addressing the issues outlined by the
17 Agency including efficacy, safety, and labeling.

18 We have extensive experience in the
19 category. Novartis markets terbinafine in a
20 variety of forms including an Rx table and topical
21 OTC products introduced through an NDA.

22 We also market monograph products in this

1 category. We understand the terbinafine compounds
2 and how consumers use the product based on years of
3 market availability and millions of usage
4 occasions.

5 We have heard what the FDA has to say and
6 agree with much of it, an we will discuss our
7 position on how antifungals can be used more
8 appropriately by consumers to maximize their full
9 potential.

10 We look forward to the committee's input
11 to help us enhance our label, to guide future
12 development in the interests of improving public
13 health.

14 [Slide.]

15 I will provide context and commentary for
16 the committee's considerations. First, we concur
17 with the Agency that labeling could be enhanced to
18 improve compliance. This will optimize treatment
19 benefits, reduce the incidence of lack of
20 effectiveness reports, and minimize the possibility
21 of adverse events.

22 [Slide.]

1 Next, we will show how our compound
2 terbinafine offers unique properties that should be
3 communicated to consumers through labeling, and we
4 will comment on the need for appropriate clinical
5 endpoints to guide product development, consumer
6 expectations, and labeling.

7 [Slide.]

8 Here, we see a presentation of
9 interdigital tinea pedis or athlete's foot as it is
10 seen clinically with signs and symptoms. Doctors
11 and consumers may perceive this condition
12 differently. Doctors appreciate that it is an
13 infectious disease, and they understand that some
14 signs and symptoms may persist for weeks even after
15 the causative fungus has been killed.

16 In contrast, consumers have a different
17 understanding of athlete's foot. Our market
18 research indicates that they start and stop
19 treatment primarily based on the onset and
20 resolution of the most troublesome symptoms,
21 including itching and burning. These symptoms
22 often last for a week or less.

1 [Slide.]

2 On this slide, we show the natural history
3 of the athlete's foot condition and its treatment.
4 The mycology is shown in the green, symptoms shown
5 in yellow, and signs as shown in red.

6 As you can see, the signs of the
7 condition, such as mild erythema and scaling, can
8 often persist for sometime beyond resolution of the
9 symptoms and after the fungus has been eliminated.

10 The actual repair and healing of the skin
11 progresses at its own rate, and there is no need
12 for further treatment.

13 This healing process reflects the time it
14 takes for the skin to heal and it is influenced by
15 several factors including individual skin types,
16 foot condition, and healing rates.

17 As you would agree, the primary goal of
18 therapy is to effectively eliminate the fungus.
19 Once the fungus is eliminated, there is nothing
20 more you can do with an antifungal product.

21 We conducted a market research study
22 involving more than 300 consumers. Our findings

1 demonstrate that consumers initiate treatment based
2 on symptoms, such as itching and burning, and
3 discontinue treatment based on resolution of these
4 symptoms, which commonly resolve within one week or
5 less.

6 If a consumer treats for only 1 week, even
7 if the product is recommended for 4 weeks, this has
8 significant implications for those products that
9 require 4 weeks of treatment.

10 These findings provide a rationale for our
11 belief that effective treatment is the appropriate
12 clinical endpoint for guiding consumer expectations
13 and labeling.

14 Effective treatment reflects resolution of
15 both mycology, here in the green, and symptoms,
16 here in the yellow.

17 [Slide.]

18 Now, I would like to turn your attention
19 to terbinafine, which we market under the brand
20 name Lamisil AT. Terbinafine is a synthetic
21 antifungal of the allylamine class. It has broad
22 spectrum fungicidal activity including the

1 dermatophytes that cause the athlete's foot.

2 This property is based on the compound's
3 unique mechanism of action which involves specific
4 inhibition of squalene epoxidase, a key enzyme in
5 ergosterol biosynthesis of fungi.

6 As such, the fungicidal activity of
7 terbinafine is distinct from the fungistatic
8 activity of the azole compounds in this category.

9 Terbinafine offers proven efficacy with
10 only 1 week of treatment, with no need for
11 additional therapy. Terbinafine was introduced in
12 1992 and switched to OTC status in 1999.

13 Since then, there have been more than 200
14 million exposures to the compound with no
15 identification of safety issues, trends, or
16 development of significant persistence.

17 It is worth noting that terbinafine is the
18 only active ingredient in the Lamisil AT line, and
19 therefore has consistent labeling and dosing
20 instructions, reducing the likelihood for consumer
21 confusion.

22 [Slide.]

1 Let us now focus on the efficacy of
2 terbinafine. This morning, we heard a lot about the
3 clinical effectiveness from pooled data. Please
4 allow me to show you the actual efficacy results
5 from the terbinafine pivotal studies for the cream
6 product.

7 [Slide.]

8 First, I show in vitro and in vivo
9 evidence of the activity of terbinafine where it is
10 needed to kill the fungus. Minimum inhibitory
11 concentrations or MIC values show a high degree of
12 antifungal activity at very low concentrations,
13 providing antidermatophyte potential that is 100
14 times more effective than Butenafine and 1,000-fold
15 more potent than clotrimazole.

16 In contrast to what you may have heard
17 this morning, terbinafine does indeed reach the
18 site where it is needed. After 1 week of topical
19 application, concentrations in the skin are 1,000
20 times the MIC. Seven days post-therapy,
21 concentrations in the skin are still 100 times the
22 MIC. In fact, therapeutic values remain in the

1 skin 5 weeks post-dosing.

2 [Slide.]

3 Terbinafine has been extensively studied
4 and safety and efficacy have been clearly
5 demonstrated in 15 well-controlled clinical
6 studies. This shows from a representative pivotal
7 study in tinea pedis from the NDA filing for the
8 OTC switch of Lamisil AT 1 percent cream.

9 The y axis shows for each of the three
10 clinical endpoints the percentage of subjects who
11 successfully achieved them. The red bars represent
12 terbinafine and the green bars represent placebo.

13 The two columns on the left show the
14 result of mycological cure. This is, of course,
15 the prerequisite for the other endpoints -
16 effective treatment and complete cure. So,
17 effective treatment results are shown in the
18 middle, and the bars on the right show complete
19 cure.

20 It is important to note that all three
21 endpoints represent the same patient experience.
22 The differences in the values simply represent the

1 different clinical parameters.

2 As mentioned previously, complete cure is
3 heavily weighted by signs of the condition, and
4 therefore is always found at a lower rate.

5 These next two slides represent data from
6 one of our studies comparing terbinafine and
7 clotrimazole at 6 weeks post-baseline.

8 [Slide.]

9 We are showing you 1-week data because
10 this is how we understand consumers to use these
11 products. As you can see, at this point in time,
12 terbinafine is highly effective and far superior to
13 clotrimazole on each of the three endpoints.

14 [Slide.]

15 These are results from the same study
16 showing efficacy at 6 weeks post-baseline following
17 4 weeks of treatment with the two products. You
18 can see that 4 weeks of treatment with terbinafine
19 produces no additional benefit over the 1-week data
20 shown in the last slide.

21 After 1 or 4 weeks of treatment,
22 terbinafine has essentially equivalent efficacy for

1 all three endpoints. This is not the case with
2 clotrimazole even if it is used for the full 4
3 weeks as it is currently labeled.

4 [Slide.]

5 This slide demonstrates the impact of
6 these different treatment outcomes at 12 weeks. As
7 you can see, only 9 percent of patients go on to
8 have relapse on terbinafine with 1 week of
9 treatment, and 11 percent with 4 weeks of
10 treatment, compared to higher rates with
11 clotrimazole of 47 and 30 percent, respectively.

12 This reflects the potency and sensitivity
13 of terbinafine.

14 [Slide.]

15 This slide shows the same data just viewed
16 now in a line format to allow us to look at the
17 time course of the effect on mycology over the 12
18 weeks evaluation.

19 As you can see, the red lines which
20 represent terbinafine treatment, there is no
21 difference between the 1 week and 4 week treatment.
22 There is, in contrast, clotrimazole, in the yellow,

1 where the 4-week treatment shows a remarkable
2 difference from the 1-week treatment, highlighting
3 the potential impact on treatment outcomes in those
4 who do not complete the 4-week treatment course.

5 [Slide.]

6 This shows the same depiction for signs
7 and symptoms for the 12-week assessment period.
8 This slide also serves as a nice overview of the
9 effectiveness of terbinafine. As you can see, it
10 produced comparable efficacy at 1 and 4 weeks.

11 Within days of initiating treatment, signs
12 and symptoms are reduced. However, after about 6
13 weeks, you can see a plateau in the effect,
14 demonstrating that some signs persist over an
15 extended period of time.

16 The clotrimazole data demonstrate that
17 when used for a full 4 weeks course, favorable
18 resolution of signs and symptoms comparable to
19 terbinafine can be achieved. However, when used
20 for only 1 week, as consumers often do, the results
21 are significantly less favorable.

22 This confirms what Dr. Elewski presented

1 earlier from the clinical experience in her
2 patients.

3 Now, in response to FDA's questions, I
4 would like to present our perspective on new
5 product development requirements.

6 [Slide.]

7 There are two different types of
8 development approaches in this category involving
9 either new chemical entity or an NDA line extension
10 of a currently available compound.

11 All new developments, whether NCEs or line
12 extensions, should require a statistically
13 significant separation from placebo using the
14 complete cure endpoint to demonstrate efficacy as
15 required for Rx drugs, where NCE studies may also
16 be required to define the appropriate dose.

17 With respect to line extensions, where the
18 dose of the active has already been effectively
19 established, the dermal pharmacokinetics and MIC
20 values for the new formulation of the known drug
21 should guide dose decisionmaking.

22 Based on this approach, line extensions

1 would require clinical evaluations to establish the
2 appropriate frequency and duration, but would not
3 necessitate dose ranging studies.

4 [Slide.]

5 Having established the effectiveness of
6 terbinafine and provided our perspective on new
7 product development, I would like to spend a few
8 moments of my presentation responding to the
9 questions regarding safety and labeling raised by
10 the Agency.

11 [Slide.]

12 Starting with the lack of effectiveness or
13 LOE reports, the Agency has noted that there has
14 been an increasing number of these reports in their
15 AERS database. In fact, overall, the number of
16 adverse reports we receive for topical Lamisil is
17 quite small.

18 LOE reports are captured as part of the
19 adverse event reporting. As you see in the middle
20 row at the bottom of this chart, it represents the
21 absolute number of LOE reports received for topical
22 Lamisil.

1 Below that, we see the number of units
2 sold during the same time frame. Consequently, the
3 graph gives you the ratio of these numbers and
4 demonstrates a declining rate of LOE reports as a
5 percentage of product purchases since the launch of
6 the OTC product.

7 [Slide.]

8 In the interest of understanding whether
9 effectiveness has changed over time, we compared
10 studies conducted over the last decade and found no
11 difference in efficacy.

12 Other analyses have confirmed that the
13 species of dermatophytes in our studies that cause
14 athlete's foot are the same over time. They
15 continue to be fully susceptible to terbinafine.

16 [Slide.]

17 The Agency also raised the questions of
18 whether the risk of cellulitis is increased in
19 inadequately treated tinea pedis. It is well
20 understood that cellulitis is rare, and is not
21 related to the drug per se. In fact, it could even
22 be misdiagnosed, as we heard this morning.

1 In the AERS database, a review of all 15
2 topical antifungals, there were only cases of
3 collection since 1965. Since 1993, cases have been
4 reported in connection with Lamisil. The
5 relationship between cellulitis and drug treatment
6 in these cases is unclear.

7 There also does not appear to be an
8 increase in cellulitis reports over time. However,
9 inadequately treated tinea pedis may make
10 individuals more prone to this infection.

11 The data indicate that certain
12 subpopulations, such as people with diabetes, may
13 be at the higher risk of cellulitis, but that the
14 risk may actually be reduced by effective
15 antifungal treatment.

16 Our recommended label changes would
17 include a warning for people with diabetes and
18 other identified risks.

19 [Slide.]

20 Regarding other labeling changes, we have
21 given great consideration to the issues raised by
22 the FDA, and are pleased to share our labeling

1 recommendations, which are intended to optimize the
2 consumer benefit with terbinafine, and what do we
3 know about the patient experience.

4 We know they are equally satisfied whether
5 they achieve the effective treatment or complete
6 cure endpoints.

7 [Slide.]

8 As you can see in this chart, the
9 comparison evaluates patient global assessment
10 scores from the analysis of one of our clinical
11 trials. Patients who achieve either effective
12 treatment or complete cure were selected and had
13 equivalent findings on the global assessment scale.

14 Based on clinical and consumer experience,
15 we conclude that effective treatment should be the
16 basis for setting consumer expectations for product
17 performance, and therefore be reflected in
18 labeling.

19 [Slide.]

20 If this committee recommends that new
21 label be developed for NDA products to set consumer
22 expectations about treatment outcomes, we recommend

1 that effective treatment be the guide. These
2 improvements will set appropriate expectations,
3 enhance compliance, optimize treatment outcomes,
4 and provide stronger safety guidance.

5 Consequently, monograph products should
6 add similar language indicating the required
7 duration of treatment and indicate if no reliable
8 clinical data are available. We would like to take
9 you through our current thinking on propose
10 labeling changes for the class using terbinafine as
11 an example.

12 We agree that there is potential confusing
13 language in the current PDP or primary display
14 panel of the packages.

15 [Slide.]

16 We recommend removing "Cures most
17 athlete's foot" and replacing that language with
18 "Athlete's foot treatment."

19 To enhance compliance, we also recommend
20 making treatment duration prominent in this primary
21 display panel. For example, we would replace
22 current language with information that helps

1 consumers understand they are treating an
2 infection, and for the full course of treatment.

3 This is the most important language to
4 communicate in the label. All products in this
5 category should clearly delineate the required
6 duration of treatment.

7 In the case of Lamisil, the statement
8 would be, "Must be used twice daily for full 7 days
9 to eliminate fungal infection."

10 We also recommend moving the language on
11 "Relieves itching and burning" to the Drug Facts.

12 [Slide.]

13 Here, we remind the consumer about
14 completing the full course of treatment even if
15 symptoms resolve. What we want to do is help
16 consumers manage the expectations toward treating
17 symptoms and signs.

18 This copy may read, "Many get relief from
19 their symptoms (itching and burning) after 1 week
20 of treatment. Signs such as redness will last
21 longer until the outer layer of skin naturally
22 replaces itself."

1 Additionally, we recommend strengthening
2 the warnings specific to diabetics. For example,
3 that language would read, "Stop use and ask a
4 doctor if condition worsens or new symptoms
5 develop; this is especially important if you have
6 diabetes."

7 In short, these changes will improve
8 health outcomes for the millions of consumers with
9 athlete's foot who count on these safe and
10 effective treatments.

11 We intend to test, refine, and implement
12 enhanced labeling that will more clearly guide
13 those who use our antifungal products, so that
14 their expectations are well met and their outcomes
15 improved.

16 [Slide.]

17 In conclusion, we have provided data from
18 a variety of sources that confirm the safety,
19 effectiveness, and unique benefits of terbinafine
20 when used for 1 week with no evidence of increased
21 lack of effectiveness or resistance development.

22 While we recognize complete cure as the

1 appropriate endpoint for the approval of OTS
2 topical antifungals, effective treatment is the
3 most meaningful endpoint for communicating efficacy
4 information in labeling.

5 We have provided commentary on how future
6 products might be developed and have highlighted
7 the rationale by which new chemical entities should
8 be held to a higher standard than line extensions
9 based on separation from placebo.

10 Finally, we share the goal of improving
11 consumer health outcomes. We have presented our
12 proposed labeling and delineated its clear purpose.

13 We thank the committee for your time and
14 interest and look forward to your input and
15 guidance, as well, to further collaboration with
16 FDA to bring label improvements that maximize the
17 safety and effectiveness of these important
18 therapies.

19 I will be glad to address any questions
20 you may have.

21 Thank you very much.

22 DR. CANTILENA: Thank you, Dr. Albrecht.

1 I will actually ask all the speakers from
2 the open public hearing to come up to the podium
3 and we will open this up to questions from the
4 committee members. You can just identify who you
5 are asking, if you know, and we will start with Dr.
6 Fincham.

7 DR. FINCHAM: I have a question for Dr.
8 Albrecht. You presented data from two studies,
9 2506-01 and 2508-01. In the 2506-01, you listed
10 the sample size as 67. Was that in both treatment
11 arms or was that total patients?

12 DR. ALBRECHT: No, that is total, that is
13 total patients, one of our pivotal studies in the
14 NDA.

15 DR. FINCHAM: So, approximately 35 in
16 each.

17 DR. ALBRECHT: Yes.

18 DR. FINCHAM: In the second study, on one
19 slide, you said the sample size was 97.

20 DR. ALBRECHT: Yes.

21 DR. FINCHAM: And in the other slide it
22 was listed as 193.

1 DR. ALBRECHT: Yes. Actually, the total
2 size is 193. In fact, these data were presented
3 this morning by the FDA, as well. The 97 relates
4 to two treatment groups I showed in that chart,
5 which is the 1 week and the 4 week, so we have
6 broken that up. It is 97 for the 1 week and 96 for
7 the 4 weeks, so it adds up to the 193. That was
8 the total study size, 4 treatment legs, 1 week
9 terbinafine, 1 week clotrimazole, 4 weeks
10 clotrimazole, and 4 weeks terbinafine.

11 DR. FINCHAM: Just a follow-up question,
12 if I might, sir. How were the subjects chosen to
13 be in each of those arms?

14 DR. ALBRECHT: They were randomly assigned
15 to the treatments.

16 DR. CANTILENA: Other questions on the
17 committee? Dr. Davidoff.

18 DR. DAVIDOFF: I have a comment and a
19 question.

20 The comment relates to the apparent close
21 tie between changes in symptoms, or appearance of
22 symptoms, or disappearance of symptoms, to where

1 the people start or stop therapy, because I was
2 noticing, looking back at the data that Dr. Fritsch
3 presented this morning, that the vehicle actually
4 in the first week appears to be responsible for
5 eliminating the symptom of pruritus--that is page
6 12 of her slides--and for a sizable portion of the
7 relief of pruritus even in the 4-week treatment
8 with Drug Product F.

9 I suppose that argues for being especially
10 cautious about having patients stop treatment
11 prematurely, because the treatment decision may be
12 based on something that has nothing to do with the
13 active drug. That was just really a comment, and I
14 would be curious whether you have any thoughts on
15 that.

16 The question had to do with the proposed
17 statement of encouraging patients to be sure to
18 take the full number of prescribed days of
19 treatment, which I think everybody in medicine
20 would agree with it in general that undertreatment
21 and partial treatment is a bad thing particularly
22 in light of potential emergence of resistant

1 strains in bacteriological infections.

2 However, it seems to me that it is quite
3 possible, given some other data, that even a
4 shorter period of treatment than 7 days might
5 actually be as for, say, terbinafine, may be as
6 effective as 7 days.

7 My question is, are there data on that,
8 because the drug apparently is there in such large
9 quantities and persists even after you stop using
10 it, that it is possible the organism is effectively
11 eliminated on Day 2, so it would be a little hard
12 to justify that statement, if that is the case.

13 DR. ALBRECHT: Thank you for the question.
14 Perhaps to the first question you had, which was,
15 of course, the drug product works as a composite.
16 It is the drug in the composition and the vehicle.
17 Of course, if you have a very good emollient
18 vehicle, it will help to heal the condition.

19 In the case of our product, it is very
20 clear that terbinafine is such powerful fungicidal
21 agent that it certainly kills the fungus, and then
22 is symptoms persist, that is just the dynamics of

1 the disease, as I showed.

2 Now, in response to your other question,
3 we actually have clinical data from controlled
4 studies that show that terbinafine is effective in
5 eradicating the fungal disease and eliminating the
6 symptoms after 5 days. We have the studies both
7 with 7 days and 5 days in the same study leg.

8 There also is, it's not on the market,
9 terbinafine Rx derm gel preparation, which is
10 effective both in 7 days and 5 days with a single
11 day application.

12 So, again, I think the potency of the
13 antifungal compound is extremely important.

14 DR. DAVIDOFF: So, then, it isn't entirely
15 justified to recommend treatment for the full 7
16 days on the basis of the data.

17 DR. ALBRECHT: Well, we have a labeling
18 for 7 days, we have not pursued a shorter treatment
19 period, but I think if your patient should tell you
20 they only treated for 6 days, you should probably
21 feel quite comfortable that at least the fungus is
22 being killed.

1 DR. CANTILENA: We have a comment over
2 here from Dr. Wilkin.

3 DR. WILKIN: I would be interested if you
4 are aware of any literature that speaks to
5 allylamines, and I am actually blocking which ones
6 were tested, but I thought they had modest
7 cyclooxygenase inhibitor reactivity, some
8 anti-inflammatory activity.

9 Dr. Elewski seems to know that part.

10 DR. ELEWSKI: I know that. There was a
11 study done by I believe Ted Rosen in Texas, and he
12 looked at that in sunburns. I think he actually did
13 a study where he burned red skin from a sunburn to
14 see what gets rid of the erythema the fastest, and
15 judging what gets rid of erythema from a sunburn,
16 he was looking at an allylamine with a trade name
17 Naftifine. It actually was a fairly good
18 eliminator of inflammation.

19 Consequently, some other drugs have been
20 look at it this same fashion, Ciclopirox, which was
21 mentioned this morning, is one of the more common
22 prescription products, and so forth.

1 So, I don't think it is a function of
2 allylamines only, because Ciclopirox had it, and
3 ketoconazole, I think had something similar, but it
4 was done in a different way, but the paper was Ted
5 Rosen, and it was looking at burns, if that helps.

6 DR. WILKIN: But the moiety we are talking
7 about now is an allylamine.

8 DR. ELEWSKI: Right, Naftifine is an
9 allylamine.

10 DR. WILKIN: And also terbinafine.

11 DR. ELEWSKI: Right.

12 DR. CANTILENA: Dr. Katz.

13 DR. KATZ: I have a question for Dr.
14 Clayton concerning the consumer research data that
15 you referred to, done on internet.

16 How did you locate those patients in the
17 first place and what was the percent of people who
18 responded to that survey?

19 DR. CLAYTON: The patients or the
20 consumers are identified through screeners of
21 symptoms that they complain of, so it is done
22 through a variety of signs.

1 DR. KATZ: How do you get the names to
2 contact them on the internet?

3 DR. CLAYTON: I can't tell you. A firm is
4 employed that is skilled at surveying by internet.

5 DR. KATZ: Do you know the percentage of
6 response?

7 DR. CLAYTON: I don't know the percentage
8 of response.

9 DR. KATZ: We need to know. Provides fast
10 relief, 60 percent of the respondents, so how do we
11 know that is not 6 percent of the respondents, the
12 others didn't bother responding?

13 DR. CLAYTON: These are 350 that actually
14 completed the survey.

15 DR. KATZ: But maybe 3,000 were surveyed.
16 I mean we have no idea of this data that is being
17 presented.

18 DR. CLAYTON: I apologize for the fact
19 that it wasn't complete. This survey that I
20 reported today is very consistent with the others
21 we have done for the past 10 years. Some of them
22 have been one by mail panels, some of them have

1 been done by internet.

2 This particular most recent one was done
3 that way, but it is done through a statistical
4 model that is used to validate the representation.
5 I apologize for not having that information for you
6 today.

7 DR. GANLEY: Could I comment on that, too?

8 DR. CANTILENA: Go ahead.

9 DR. GANLEY: We have seen some of these
10 before, and there are internet sites where you can
11 sign up and fill out a questionnaire and give some
12 history about yourself. They create a database.

13 For example, if you have a history of
14 athlete's foot, they may ask you that question, and
15 then when someone comes in for a survey, they will
16 send out to all the respondents, you know, they may
17 have several hundred thousand respondents and
18 10,000 or 50,000 say that they have a history of
19 athlete's foot, and then they will go out and send
20 e-mails to all of those people, asking them if they
21 are interested in participating in a survey.

22 A certain percentage will come back and

1 say yes. Now, the one thing about these sites is
2 that you collect points by the more surveys that
3 you fill out, and if you collect enough points, you
4 will get some type of gift.

5 DR. KATZ: That is the point of my
6 question.

7 DR. GANLEY: John, you can correct me if I
8 am wrong.

9 DR. CLAYTON: As Dr. Ganley said, some are
10 that way. I, unfortunately don't have that
11 information today. Again, it has been done by a
12 variety of different methods over these 10 years,
13 the data have been extremely consistent throughout
14 except for the trends that we have noted.

15 DR. KATZ: But that type of survey would
16 be highly questionable as far as the validity of
17 who is responding to the internet and getting
18 points and getting prizes for responding. I think
19 you would have to agree that the scientific
20 validity of such a survey would be subject to
21 question.

22 DR. CLAYTON: There have been standards

1 set for these types of surveys as a general
2 statement. I am not addressing all that are out on
3 the internet, but particularly the firms that we
4 employ to do these types of things, they are
5 validated against a certain model. Unfortunately,
6 as I said, that was done by a market research
7 group, and I apologize for not having that
8 information.

9 DR. CANTILENA: I think we can move on.

10 Dr. Ringel.

11 DR. RINGEL: I think this question is best
12 addressed to Dr. Elewski because she has had so
13 much experience, breadth of experience with many
14 different products.

15 We have heard that most cases of tinea
16 pedis, in fact, are moccasin style tinea pedis,
17 whereas, I believe most of the studies have been
18 done with interdigital tinea pedis.

19 I was wondering if you or the companies
20 that you have consulted for have data about the
21 cure rates, mycological and clinical, for patients
22 both with moccasin style and/or interdigital,

1 first, and secondly, with patients who have
2 onychomycosis along with their interdigital tinea
3 pedis since probably those are the majority of
4 patients who are going to be treated.

5 DR. ELEWSKI: The most common type of
6 tinea pedis that we see is the interdigital. The
7 interdigital is by far the most common, much more
8 common than moccasin. The interdigital is when the
9 toe webs, you know, that we went over, the web
10 spaces are infected, and the prime organism is
11 *Trichophyton rubrum*.

12 By the time someone has actually gone on
13 to get the moccasin type tinea pedis, many of these
14 people, if not all, have some form of onychomycosis
15 by that point, so treatment with a topical
16 antifungal poses some challenges because if you use
17 a topical antifungal for moccasin, you probably
18 could eventually eradicate the dermatophyte from
19 the bottom of the foot, but the problem is you
20 still have dermatophytosis in the nail, which will
21 eventually--what I teach our residents are the
22 fungi are greedy and they want to continue to grow,

1 and if you get rid of it from the bottom of the
2 foot, the plantar surface, it may eventually come
3 back from the nail.

4 So, unless you eradicate the fungus in the
5 nail, then, you probably will have recurrence down
6 the road, but interdigital tinea pedis can occur
7 without onychomycosis, in fact, it generally does
8 especially in the epidemics that you see from
9 swimming pools, gyms, and health spas, and so
10 forth.

11 The interdigital, simple and complicated,
12 is a simple scaling process, and these people don't
13 have onychomycosis.

14 DR. RINGEL: Perhaps someone at the FDA
15 could help me. I believe in the package that we
16 got before the meeting, moccasin style tinea pedis
17 was about 50 percent, isn't that correct?

18 At any rate, do you have data for
19 clearance of moccasin style tinea pedis?

20 DR. ELEWSKI: Well, for moccasin--the
21 antifungals we are talking about now, that are OTC,
22 are for interdigital tinea pedis--for moccasin

1 tinea pedis, there have been studies looking at it
2 for topical antifungals, and it has to be done
3 longer.

4 For example, and I could probably defer to
5 Novartis, because there has been a study looking at
6 it, and it is 1 week for interdigital, I understand
7 it would it would be 4 weeks for moccasin tinea
8 pedis.

9 DR. ALBRECHT: It's 2 weeks.

10 DR. ELEWSKI: Oh, it's 2 weeks.

11 DR. ALBRECHT: The Lamisil AT cream is
12 labeled for 2 weeks of treatment for moccasin.

13 DR. RINGEL: So, do you think that should
14 be on the labeling what kind of tinea pedis is
15 being treated?

16 DR. ALBRECHT: It is on the labeling. If
17 you look at our labeling, in fact, there is even
18 images for interdigital foot as opposed to another
19 image for the sides of the foot, which indicates to
20 the consumer the plantar form. So, there are two
21 indications on the label, and they are differently
22 instructed in terms of duration of treatment and

1 the imagery, how to apply the product.

2 DR. WHITMORE: I don't think we saw
3 efficacy data on that, and I think Dr. Ringel and I
4 would also like to know the effectiveness, the
5 efficacy of the study with the plantar for 2 weeks
6 as indicated on the label.

7 DR. ALBRECHT: Right. Again, the meeting
8 was focused on interdigital, so I didn't put the
9 data into my presentation. Do we have them handy?

10 DR. CANTILENA: You could have life-line,
11 if you would like, and call home, or we can poll
12 the audience, that's right.

13 [Laughter.]

14 DR. CANTILENA: Dr. Whitmore.

15 DR. WHITMORE: Are there different studies
16 done with each of the different vehicles, for
17 instance, Lotramin Ultra Cream versus Lotramin
18 Antifungal Cream and also with Lamisil Spray versus
19 Cream? Is there a superior vehicle that produces
20 better clearance, or why the different vehicles?

21 DR. ALBRECHT: Different vehicles because
22 the consumers actually like variety. In fact,

1 consumers are very form loyal, if consumers like a
2 cream or consumers like a spray or a powder, so we
3 do separate studies on the cream, and we have
4 separate studies in our solution, and the efficacy
5 of both vehicles is quite comparable.

6 DR. WHITMORE: Is the same true for
7 Lotramin Ultra Cream?

8 DR. CLAYTON: Actually, Lotramin Ultra
9 Cream is only in cream form, so it is the only
10 dosage form, but comparative studies against other
11 active ingredients, I don't believe they have been
12 done. There may be a few out there, but not
13 pivotal type, large-scale studies.

14 DR. WHITMORE: Do you use anything to
15 direct consumers to which they should purchase?

16 DR. CLAYTON: Other than through
17 advertising, not directly. I mean the labeling
18 certainly describes the treatment regimens
19 specifically, but only through those means of
20 communication.

21 DR. CANTILENA: Thank you.

22 Dr. Benowitz.

1 DR. BENOWITZ: I have two questions. Dr.
2 Elewski would probably be the one to address them.

3 The first is you made the point that you
4 think effective therapy is equivalent to cure by
5 the criteria we heard this morning. I am just
6 wondering, do you know of any data on relapse using
7 those two criteria, or may recurrence or long-term
8 outcomes?

9 DR. ELEWSKI: I don't have data on that,
10 but let me expound a little bit on what you said
11 for effective therapy, because one thing I didn't
12 use as an analogy is acne. You know, it is hard to
13 evaluate skin studies, and if you are treating, for
14 example, something like acne, what do you say is
15 the endpoint, is it getting rid of the comedones,
16 is it getting rid of the pustules, is it getting
17 rid of the papules or nodules or cysts, of is it
18 getting rid of the scarring or the oil?

19 So, at the end of the study, if you still
20 have scarring or you still have oil, does that mean
21 you still have acne? No. Likewise, that is what I
22 was getting at for effective treatment, if you have

1 a little bit of erythema, a little it of scale that
2 may be unrelated, it could be effective treatment.

3 As for data, probably the best study was
4 the study that was alluded to this morning looking
5 at Lamisil/Terbinafine 1 week versus 4 weeks, and
6 looked at it after--I wrote this many years ago, so
7 it is hard to remember--I think it was 48 percent
8 in those who used it for a month, and it was 42
9 percent in those who used it for a week, had no
10 recurrence at 1 year or longer.

11 Of those that recurred, one-third actually
12 had a new organism, implying that they didn't
13 really recur, they got a new infection. So, that
14 is probably the best study looking at that, that I
15 know of, unless any of the industry colleagues want
16 to add to that.

17 DR. BENOWITZ: I was just trying to be
18 sure that the finding of mild symptoms really means
19 the same thing as a cure in terms of recurrence
20 rates, because the efficacy or efficient, whatever
21 the term is, shows about 80 percent outcome for
22 that endpoint, but yet there is about a 40 percent

1 recurrence rate.

2 I am just trying to figure out can we
3 really be sure that what you are saying in terms of
4 effective outcome is the same as cure.

5 DR. ELEWSKI: The issue, I think, is the
6 word "recurrence," because how do you define
7 recurrence? Is it recurrence meaning you never got
8 rid of the infection in the first place, so the
9 infection recurred? Or is recurrence that they got
10 rid of the infection, but they put their feet back
11 in their fungal-ridden shoes, as someone mentioned
12 already? You have fungus in your shoes, as Dr.
13 Wilkin mentioned, and they got a new infection.

14 So, it is very hard to sort this out. I
15 know Dr. Ghannoum and I at one point were looking
16 at doing molecular strain types on initial
17 infections to see if someone got a new infection,
18 which we could call a recurrence, if you wish,
19 whether it was really a recurrence or whether it
20 was a new infection.

21 You could do this if you found the
22 molecular strain of infection A, and then six

1 months down the road, they get a new infection,
2 what is the molecular strain type of that new
3 infection, you know, looking at the DNA pattern of
4 the organism.

5 We have never done that. I don't know if
6 you have.

7 DR. GHANNOUM: Actually, you know, we were
8 trying to do that. At that time, there was no
9 method which allows differentiation of different
10 strains, that allows you to differentiate rubrum
11 from mentagrophytes, but not between rubrum.

12 Now, the good news is there is a method
13 that allows people to differentiate between two
14 different strains for *T. rubrum*, for example, or
15 mentagrophytes, and I think maybe pretty soon we
16 will be able to have some sequential isolates to
17 follow that.

18 DR. BENOWITZ: I understand that. That is
19 not really my point. I think there are probably no
20 data.

21 My point is just however you look at
22 recurrence, do we know it is the same for someone

1 who has a complete cure by the definition we heard
2 this morning, versus effective treatment.

3 DR. ELEWSKI: I can tell you from my own
4 practice, from my own patients, when I have a
5 patient and they finish their treatment, and I see
6 them a month later, and the majority of their
7 symptoms and signs are resolved, and they may have
8 just a wee bit of something left, and I follow them
9 again, for other reasons, they come in for problem
10 A or B or C down the road, they generally are still
11 free of fungus.

12 Some of them will go on to get something
13 new. I am particularly interested in this, so I
14 like to do cultures. I am out there doing cultures
15 where many people don't. Often it is a different
16 organism. I just like to do that for academic
17 interest, but I have never published that.

18 No, there is no data that I am aware of.

19 DR. BENOWITZ: The second question that I
20 had is you made a statement and supplied some
21 evidence for why you thought there was no need to
22 do dose responses.

1 My question is, why was the particular
2 dose chosen, has been chosen for the various drug,
3 and without doing a dose response, how can you be
4 sure that you don't need to do a dose response?

5 DR. ELEWSKI: I think I should defer that
6 to the companies who have the drugs.

7 Do you want to comment on that?

8 DR. ALBRECHT: I can offer comment. I
9 think I showed the data that we, based on the MIC
10 values, and then based on the availability of the
11 drug in the skin, it was determined that we had an
12 effective dose at a low level, and therefore, no
13 safety issue involved, pursued that further for
14 clinical development.

15 DR. CLAYTON: I would give a similar
16 response except that there are also some studies
17 that have been done with some of the drugs using
18 guinea pig models, infected guinea pig models to
19 determine various concentrations, and differences
20 in outcomes on those.

21 I am not aware of many, if any, clinical
22 trials that are comparing true dose response.

1 DR. ELEWSKI: The objective is to exceed
2 the MIC of the fungus and eradicate the organism.
3 I think we are doing that with the antifungals
4 available for superficial cutaneous fungal
5 infections, which is the issue on the table.

6 DR. BENOWITZ: So, can we be as sure for
7 concentrations in the skin? I know for blood it's
8 simpler because there is organism in the blood and
9 we get a concentration of an antibiotic in the
10 blood, and we can sort of make sense out of the
11 MIC, but can we extrapolate that to skin
12 concentrations versus MIC acting on fungus in the
13 skin?

14 DR. ELEWSKI: I can't really comment on
15 that. I don't know if anyone else wants to.

16 DR. CANTILENA: Okay. No one is going to
17 move on that. Is there a comment? You had your
18 hand up, Dr. Wilkin.

19 DR. WILKIN: I think Dr. Benowitz asked a
20 really key question, and that is what is the gold
21 standard for cure. I think that is what you were
22 going after. Dr. Elewski has obviously thought a

1 lot about this, and many of the things that you
2 have thought about, our dermatology group at FDA,
3 you know, I have to say that we think in many of
4 the details along the same line. I think that may
5 be a general dermatology perspective.

6 One of the difficulties that we have had
7 to wrestle with is since we are looking at just the
8 three things, one is the KOH, that is, you scrape
9 and you look and see if there is any evidence of
10 the hyphae present.

11 There is an enormous sampling error
12 difficulty. One of the exercises that a first year
13 resident gets to do, or a fourth year medical
14 student rotating on Dermatology, is you have them
15 scrape the foot and do a KOH. They can't find it
16 the first time, and you have them do it again, and
17 they can't find it.

18 Finally, on the third time, they may
19 locate it. So, it's not the easiest thing to do
20 even in the hands of a skilled investigator,
21 sometimes there is one small area. So, I think
22 there are enormous sampling errors with the KOH.

1 The culture may be the same. We do
2 actually have some information that helps us
3 understand the culture. The entry criteria for the
4 kinds of studies that we are discussing today are
5 patients who have a positive KOH, and they look to
6 the clinician as though they have the presentation
7 of tinea pedis. That is what gets the patient into
8 the study.

9 So, that patient would be in the
10 intent-to-treat group. They also get a culture at
11 baseline, and then three weeks later, either a
12 dermatophyte grows out or it doesn't, and it
13 doesn't grow out one-third of the time, so the MITT
14 group is typically on the order of 65 percent of
15 the ITT group.

16 That tells me that there is that same
17 problem with the culture, is that when it is
18 negative, it may have less informative value, that
19 there can be enormous sampling errors.

20 Nonetheless, we are willing to take
21 mycological negativity, if you will, negative KOH,
22 negative culture, and then look at the skin signs,

1 and I think as Dr. Elewski has pointed out, the
2 skin has a very limited repertoire in response to
3 any kind of noxious agent, be it atopic dermatitis,
4 contact dermatitis, or tinea pedis, or the
5 dermatophyte.

6 I mean it can scale, it can get red. It
7 doesn't really have many other things in its
8 vocabulary. So, there is some confusion when you
9 get down to the 1-plus erythema and 1-plus scale.
10 It is probably true that some of those patients
11 represent a cure and some of them don't. I think it
12 may go both ways.

13 It may be too high a hurdle on the foot to
14 actually demand zero clinical signs and symptoms,
15 but we like looking at that because it is such a
16 pristine group. I mean it's a nice comparator from
17 one product to the next, especially when we are
18 comparing against the placebo, so we didn't really
19 prepare that part for the discussion today, but I
20 am glad Dr. Benowitz brought it up.

21 There is no gold standard. It would
22 really require people who got new shoes, maybe went

1 to the International Space Station where there is
2 no dermatophytes on the floor, and they were
3 watched over an entire year to see whether or not
4 the fungus came back. So, that is a little on the
5 epistemology, if you will, of what we really do
6 know.

7 The second part, I would like to say is
8 about do antifungals get to the site of action. I
9 think it is true that you can scrape skin, you can
10 lift it off with tape strips, and you can find that
11 the active is there, but we all know that it needs
12 to be in solution before it is really going to be
13 active.

14 The other thing is Robert Jackson has got
15 a really nice paper, and Dr. Elewski probably knows
16 the exact citation, but it is the one where
17 dermatophytes move in a centrifugal manner, I mean
18 they move out, so that the leading edge, when you
19 were looking at the very nice picture of between
20 the fourth and fifth toe, that center part that
21 everyone was focused on, that is probably
22 gram-negative bacteria in that location.

1 The actual dermatophyte is out in what
2 looks like normal-appearing skin out at the edge,
3 and I think it is really very difficult to get
4 these large chemicals to that location down through
5 that very thick stratum corneum, so I don't think
6 we have seen at FDA really good data to tell us
7 that these products are in solution at the site of
8 action, at that location, and it is a 1 to 1
9 relationship.

10 I guess I will stop at that.

11 DR. CANTILENA: Thank you.

12 Did you have a comment on this question,
13 Dr. Ghannoum?

14 DR. GHANNOUM: Which question?

15 DR. CANTILENA: On the comment that was
16 raised over here by Dr. Benowitz.

17 DR. GHANNOUM: I just wanted to comment
18 about a couple of things. Number one is about how
19 really do we determine the vehicle or the dose. A
20 lot of the time, I know our Center gets studies in
21 guinea pig model, which was mentioned, and that
22 guinea pig model we look at different vehicles and

1 say which one, let's say, caused this erythema, and
2 the same applies for the doses. We really do,
3 let's say, three, four doses, and then select the
4 best one.

5 I think industry at that time, then, they
6 take it, that's one thing.

7 I want also to comment about the
8 International Space Station. In actual fact, there
9 is Trichophyton there, they found.

10 [Laughter.]

11 DR. GHANNOUM: There was a study, and it
12 was there. So, I don't know where it came from,
13 but it was there.

14 The last point is about the calcofluor and
15 the KOH, the difficulty in that. I think really it
16 is very important to use, not just regular KOH, use
17 the calcofluor, because you can improve the
18 sensitivity because this dye is specific for it,
19 and I think a lot of the studies, like from 53
20 percent, you can improve it up to 80 percent.

21 DR. CANTILENA: Thank you.

22 Dr. Wilkerson.

1 DR. WILKERSON: Dr. Elewski, one question.
2 There has been a lot of talk over the years about
3 yeast and bacteria, and I haven't heard much about
4 that today. Some of these compounds are more
5 effective from what I understand from what people
6 say, which isn't always true.

7 Overall, do yeast and gram-negative
8 bacteria, one thing and another, play, and how
9 important is it that the agents that you are
10 treating with have activity against those also?

11 DR. ELEWSKI: That would be called, if you
12 had a yeast or bacteria in the toe web, we could
13 call that toe-web intertrigo. It could have
14 started with a dermatophyte. I think one of the
15 speakers talked about how Dr. Layden and Kligman
16 described this, and they described it as a syndrome
17 of dermatophytosis complex, where the dermatophyte,
18 which is able to digest the keratin, damages the
19 keratin, destroys the barrier function, and allow
20 bacteria to enter.

21 Then, you may get a weeping, macerated toe
22 web in that scenario. I actually did a study

1 looking at this, and we found that topical azole
2 family category, and we were using Econazole cream
3 as an example, and we published this and found that
4 it had a lot of antibacterial activity, because we
5 culture people beforehand and treated them with
6 Econazole, and they did very well.

7 We can extrapolate from that and from
8 others who have written about this that the azole
9 family Econazole, clotrimazole, and so forth, have
10 some antibacterial activity, and also anti-yeast
11 activity.

12 Now, candida can also be a pathogen in the
13 toe web, but it is extremely rare, and it generally
14 would be a secondary problem, and probably I would
15 think if it is there, it probably came riding on
16 the back of a dermatophyte, so if you killed the
17 dermatophyte, then, you would eradicate everything
18 that was there because of the dermatophyte, and the
19 same thing you could also say with this
20 dermatophytosis complex. If you kill the
21 dermatophyte, well, the dermatophyte was the way
22 that the bacteria could get a hold in the foot, so

1 if you kill it, there is nothing left for the
2 bacteria to do but leave.

3 DR. WILKERSON: Well, I think where this
4 is important is particularly when we are talking
5 about diabetics and other immunocompromised
6 situations where it may be more important. My
7 understanding is that allylamines do not have much
8 of this activity towards yeast, and I don't know
9 what their activity towards bacteria is.

10 DR. ELEWSKI: The allylamines have less
11 activity for yeast and less activity for bacteria
12 than the azoles, however, we are talking about now
13 a topical antifungal, so if you were to take a
14 drug, such as oral terbinafine orally, it is not
15 going to be very effective for cutaneous candida,
16 again because terbinafine would have to get
17 absorbed, get into the skin, and it wouldn't get
18 into the skin in high enough concentration to kill
19 *Candida albicans*.

20 It might kill *Candida parapsilosis*, but
21 not *Candida albicans*, but applying it topically, it
22 is a very effective drug for *Candida*.

1 Another example could be another yeast
2 Pityrosporon. Terbinafine doesn't get into the
3 skin in high enough concentrations orally to kill
4 Pityrosporon, but you can apply it topically to
5 kill Pityrosporon, because it is exceeding the
6 yeast.

7 So, when using these drugs topically, they
8 generally, because you are applying to the skin in
9 high enough levels, are going to exceed the MICs of
10 the dermatophytes, of Candida, and of some, but not
11 all, bacteria.

12 The bacteria that I still see a problem in
13 my patients who have bacterial infections, some of
14 which are diabetic, most of whom, though, have an
15 anatomical occlusion causing a deformity, which
16 leads to maceration because of the deformity, and
17 they may have pseudomonas, and that can be a
18 problem.

19 I have seen a few, a handful of patients
20 with chronic pseudomonas in the toe web, that the
21 only thing that I have been able to do to eradicate
22 that is topical gentamicin, garamycin product or

1 oral products that are appropriate, but that is
2 very, very rare, but nonetheless, I have seen.

3 DR. CANTILENA: Thank you.

4 DR. WILKERSON: One other part of my
5 question was to the Schering-Plough
6 representatives. My understanding is Lotramin
7 Ultra is a different compound than Lotramin AF, is
8 that correct?

9 DR. CLAYTON: Yes, Lotramin Ultra uses
10 butenafine hydrochloride, whereas, Lotramin AF is
11 clotrimazole.

12 DR. WILKERSON: Outside of playing on
13 brand names, don't you consider that to be really
14 confusing to consumers?

15 DR. CLAYTON: We have tried to communicate
16 the difference. We have tried to communicate it
17 both through packaging and advertising, and we have
18 been challenged by Dr. Ganley and his Division to
19 test this with consumers, which we have done
20 through actual label comprehension and
21 understanding, but we have tried to make them
22 happy, quite different in appearance and the

1 communication piece also.

2 DR. WILKERSON: It was obviously done to
3 play off of your brand name, correct?

4 DR. CLAYTON: It was done to establish
5 credibility that existed in the marketplace, but
6 there was the full intent to make sure that
7 consumers could distinguish between the two.

8 DR. CANTILENA: That was a very good
9 answer, by the way.

10 Dr. Fincham.

11 DR. FINCHAM: I have a question for Doug
12 Bierer.

13 You mentioned in one of your slides, three
14 hoped-for additions to labeling, and you mentioned
15 a hope for increase in compliance. I was just
16 curious from your data, what is the baseline rate
17 of compliance and what do you hope to gain as far
18 as an increase in compliance by your proposal?

19 DR. BIERER: I don't actually have data on
20 the baseline for compliance with these products.
21 That would depend upon the individual product. We
22 don't collect that as an association. I think you

1 would have to talk with the individual companies.

2 But I hope that we would see consumers
3 understanding from this proposed labeling, which I
4 think you have heard from both companies that they
5 would understand that they should complete the full
6 course of therapy even if their symptoms improve.
7 I think that is the message that we want to
8 communicate to consumers.

9 DR. FINCHAM: But nowhere did I hear
10 anybody talk about specific compliance rates,
11 unless I missed it.

12 DR. BIERER: No.

13 DR. CLAYTON: The only thing we had was
14 consumer survey, which indicated that they were
15 using it on average 7.3 days, and a high percentage
16 was using it less--

17 DR. FINCHAM: I guess I am talking about
18 both duration, as well as intensity, and nobody
19 talked about specific intensity, just the duration.

20 DR. CLAYTON: You mean numbers of
21 applications per day? Some of the products are
22 once-a-day application, some of them are twice a

1 day.

2 DR. CANTILENA: Final question. Dr. Wood.

3 DR. WOOD: I have two comments rather than
4 questions. The first one is the techniques, the
5 laboratory techniques that are used to establish
6 the diagnosis. We are here to give advice, and it
7 seems to me that the techniques that are being used
8 are antiquated.

9 We no longer use cultures to identify
10 tuberculosis for lots of good reasons. There are
11 much better molecular biology techniques that could
12 be used to identify these organisms. The fact that
13 we are still using KOH seems to me just
14 mind-boggling, so I would recommend that if we are
15 going to start thinking about how we identify the
16 organisms in the future, we ought to use the 21st
17 century techniques, and not techniques from I guess
18 almost the 19th century.

19 The second part is I think it shouldn't go
20 unchallenged that concentrations in skin are
21 necessarily higher by topical administration than
22 by systemic administration, and I haven't heard

1 data to support that, nor have I heard data that
2 say what these concentrations need to be at the
3 site that kills the organism, because presumably,
4 the site that kills the organism is not necessarily
5 the one that you are sampling from when you scrape
6 the skin.

7 So, I think we need to be careful about
8 that. I am particularly concerned with the way
9 that has been offered given that the data seem to
10 suggest that systemic administration is at least,
11 and probably substantially more, effective in terms
12 of a cure rate than topical administration, so the
13 assumption that the topical administration gives
14 you higher concentrations and, hence, greater
15 efficacy, doesn't seem to be borne out by the
16 facts.

17 DR. CANTILENA: Any comments from the
18 speakers to Dr. Wood?

19 DR. ELEWSKI: I don't have a comment on
20 that, but we did do once a tape stripping study
21 with an antifungal called Econazole, and did find
22 that it was viable in the skin doing it

1 sequentially over a long period of time after
2 someone applied it and tape stripping it off to see
3 if you could still get fungus.

4 It was an in vivo kind of test, but I am
5 not aware of any other data on that.

6 DR. ALBRECHT: I might just add to the
7 study I referred to in my presentation. We did a
8 skin stripping study using the nesmith [ph] method,
9 and five weeks after initiation of treatment, you
10 could still find drug at effective levels, you
11 know, representing superpotent MIC values, if you
12 will. I don't know whether that satisfied you.

13 DR. WOOD: Oral administration?

14 DR. ALBRECHT: We haven't done oral
15 administration.

16 DR. CANTILENA: Dr. Ganley has one comment
17 and then we will go to a break.

18 DR. GANLEY: I just wanted to follow up on
19 something that Dr. Benowitz asked, and I am going
20 to direct it to Dr. Elewski, because you had made
21 the recommendation that there not be dose response
22 studies done.

1 I think from a regulatory point of view, I
2 think when we look at the data for the negative
3 mycology, that Dr. Fritsch reported on today, it
4 was her Slide 15, if you look at the negative
5 mycology, at the primary timepoint, it runs from 55
6 percent to 88 percent.

7 If you look at her Slide 17, where you
8 actually look at effective treatment, the effective
9 treatment is 38 to 69 percent. So, it seems that
10 there is a lot of room for improvement there.

11 In a slide that Dr. Albrecht showed, which
12 was his Slide 13, which showed that there is a
13 1-week treatment of clotrimazole and a 4-week
14 treatment of clotrimazole, there seemed to be
15 difference. It may not have been powered to show a
16 difference, there seems to be a difference on the
17 treatment in obtaining a negative mycology.

18 So, I would like some information on how
19 you could recommend that there not be a dose
20 response, or that we shouldn't request that because
21 our situation is we have folks coming in wanting to
22 do 3-day treatments and 1-day treatments just to

1 establish that they beat placebo. It seems that if
2 you come in with the right chemical, you can beat
3 placebo, but then that may not be the best
4 treatment for someone.

5 It would be concentration or numbers of
6 applications per day or duration. Your statement
7 is a very important statement if that is your
8 position, and I would like to understand, because
9 in my discussions with industry, when I have asked
10 for data on a study where it has looked at multiple
11 different regimens or doses within the same study,
12 there is not much data.

13 I mean it is very important from a
14 regulatory point of view as to what the hurdle is
15 that someone has to get over, because otherwise you
16 will see 3-day and 1-day treatment simply because
17 they have beaten placebo.

18 DR. ELEWSKI: I guess I am not totally
19 sure what you want, but I know with terbinafine,
20 there have been data showing that 1 week of
21 treatment may be as effective as 4 weeks of
22 treatment for tinea pedis, so that dose response

1 has already been done.

2 DR. GANLEY: Within the same study.

3 DR. ELEWSKI: Within the same study.

4 DR. GANLEY: But I am thinking as a
5 blanket statement, you know, you are saying that
6 there is not a need for it, and that has a profound
7 impact.

8 DR. ELEWSKI: I guess I was getting at
9 that we have drugs that are effective, that are
10 working to kill the dermatophyte, and I wasn't sure
11 that further gathering more data is going to help
12 the patient.

13 DR. GANLEY: But if someone comes in a 70
14 percent mycologic cure rate and an effective
15 treatment rate of 50 percent, there is a lot of
16 room there for improvement, it seems. I mean your
17 blanket statement is--

18 DR. ELEWSKI: I don't have her slides in
19 front of me, but 70 percent mycological cure, it
20 probably isn't higher because there is some
21 persistent scale there, which is causing the KOH to
22 be positive. I think that is part of the problem

1 with that.

2 DR. ALBRECHT: May I comment on that?

3 DR. CANTILENA: Yes, one quick comment,
4 please.

5 DR. ALBRECHT: I think Dr. Elewski made
6 the point 1 and 4 weeks, there is no difference,
7 established dose differences, there is lack of dose
8 differences for this compound.

9 Another study that we have done, and I
10 can't say a whole lot, because it's a developmental
11 project, but we have actually done a study, a
12 properly designed, adequately well controlled,
13 randomized, placebo-controlled study with three
14 different concentrations, 1, 5, and 10 percent for
15 a proper treatment course of tinea pedis. We did
16 not find any difference in the response.

17 So, again, I think dose ranging doesn't
18 seem, with these kind of compounds, doesn't seem to
19 really gain a whole lot once you have established
20 enough drug in the skin. That is really the point
21 I was trying to make before.

22 DR. GANLEY: The other question I have for

1 you, Dr. Albrecht, is you achieve approximately, I
2 think 88 percent negative mycologic cultures, so
3 that seems to suggest that the 12 percent or so
4 would have had positive cultures.

5 DR. ALBRECHT: Not so, Dr. Ganley, because
6 mind you, mycological response is the combination
7 of culture negative and KOH negative, and I think
8 we just discussed that KOH is a very fickle, if I
9 may so, kind of instrument, so we may have had--I
10 don't know the number right now--but we may have
11 had 95 percent negative cultures, but the people
12 failed because the KOH was positive, and that just
13 means nonviable structures may have been found in
14 the skin.

15 DR. GANLEY: So, you have 100 percent of
16 the cultures are negative, is that what you are
17 saying?

18 DR. ALBRECHT: I am not saying that, and I
19 would have to look that up, but I submit to you,
20 and I think even as we heard earlier from the FDA
21 statistician, that a number of cases fail based on
22 positive KOH.

1 DR. GANLEY: My question is has anyone
2 ever looked at those where the culture has failed
3 to see, are those the MICs for the organism growing
4 there different from what we have seen throughout
5 today, is it something that that is a resistant
6 organism, or it just turns out that this is a
7 compliance issue possibly with the individual.

8 I am directing it, are there outlier
9 organisms there that require higher MICs.

10 DR. ALBRECHT: I can't speak to that, but
11 may be Dr. Ghannoum or Dr. Elewski.

12 DR. ELEWSKI: Dr. Ghannoum and I did a
13 study two years ago looking at onychomycosis. It
14 was a huge study to see--and we did MICs on the
15 organism against all the antifungals, and there was
16 really no issue of resistance including people who
17 failed, which made you wonder, and this data has
18 been published, what does failure mean and why does
19 someone fail. It's a very complicated process. It
20 may be compliance issues, it may be the extent of
21 the infection, and it may very likely be the
22 patient's immune system may be doing something.

1 DR. CANTILENA: Are there questions for
2 the speakers? We had a show of hands over here,
3 Dr. Benowitz, Dr. Whitmore, and Dr. Wilkin. Are
4 they for the speakers or are they general comments?

5 DR. WILKIN: Mine is actually just a
6 response to Dr. Wood's query this morning on the
7 effectiveness of systemic agents.

8 DR. CANTILENA: How about if we hold that
9 until after the break.

10 Dr. Benowitz, do you have a comment or a
11 question?

12 DR. BENOWITZ: I wanted to ask Dr.
13 Ghannoum, who made the comment that he had done
14 some animal studies on dose response, which I think
15 would be quite interesting to know what the nature
16 of the dose response is, do they really flatten out
17 and do they flatten out at the same concentrations
18 as these products are used clinically in people.

19 DR. GHANNOUM: We have an animal model
20 which is coming out, also published, for
21 dermatophytosis, and we use this model for
22 different biotech companies, as well as pharma, to

1 look at the different concentration. Once you see
2 something works in OIC, then, we say, look, does it
3 work in vivo. So, we move into this animal model
4 and to find the appropriate concentration, we
5 always use at least three different groups, 1, 5,
6 and, let's say, 10 percent.

7 When we look at that model, we look at
8 efficacy as well as is there a clinical, let's say,
9 redness to see whether there is irritation with
10 higher concentrations and whatever.

11 Based on this, you will recommend or you
12 call and suggest to the manufacturer, look, this is
13 the concentration which is efficacious, as well as
14 we don't see redness, scaling, and this sort of
15 thing in that animal model.

16 Then, the manufacturer will sometimes take
17 that concentration and try other vehicles because
18 again to know, to improve it, will it improve or
19 not, and then after that, they plan their clinical
20 trial.

21 We found, at least with Lamisil, I know at
22 an early time when they were trying to test it,

1 that 1, 5, and 10, there is really no difference, I
2 mean they reached the maximum with 1, at least in
3 that class of compounds.

4 DR. WOOD: What about duration of effect?

5 DR. GHANNOUM: Because the animal model
6 itself, it is really self-healing, so you have only
7 about 10 days where you can look, and we look only
8 a 1-week treatment, but in that 1-week treatment,
9 we compare once a day or twice a day, but only 1
10 week, and then we do after that, 9 days, we do the
11 evaluation.

12 DR. CANTILENA: Dr. Whitmore, did you have
13 a question or a comment?

14 DR. WHITMORE: Are we going to be talking
15 about the consumer educational brochure for
16 patients in the packaging?

17 DR. CANTILENA: Yes, that will be actually
18 part of our discussion at the end of the day on
19 labeling.

20 Any more questions or comments?

21 Let's go ahead and take a 15-minute break
22 and return at 3:05.

1 [Break.]

2 Committee Discussion

3 DR. CANTILENA: The plan for the rest of
4 this afternoon and possibly tomorrow morning,
5 depending on time, is to discuss the issues before
6 the committee. They are outlined for you, some in
7 the form of questions, in the handout that you were
8 given.

9 What I have done on this PowerPoint is to
10 sort of partition our discussion, if you will, so
11 that we are on track, by topic. We are first going
12 to talk about the issues that actually come up in
13 Questions 4 and 5 with microbiology.

14 We will have that discussion, we will
15 focus on those issues, and then we will actually go
16 through Questions 4 and 5. After that, drug
17 development issues will be discussed, you know,
18 dose response issues, lowest acceptable cure rate,
19 et cetera, as outlined.

20 For that discussion, we will answer
21 Question 2, and we will give our comments as
22 requested in Issue No. 1, so we will comment on

1 Issue 1 and answer yes/no Question 2 under drug
2 development, under the broad category of drug
3 development.

4 Finally, under labeling issues, we will
5 talk about the existing label and then the possible
6 modifications or additions, deletions to the future
7 labels. In that discussion, we will answer
8 Question 6, as well as Questions 3(a) and 3(b).

9 So, that is the plan. If you can click on
10 Clinical Microbiology, what I would like to do is
11 try to focus the discussion sort of as requested by
12 FDA, drug resistance issues and also the use of
13 MICs in drug development.

14 I will just start by saying that what I
15 heard this morning and also this afternoon was
16 resistance is really a rare finding and does not
17 seem to be an issue. What I thought possibly for
18 MICs, and I would obviously love to hear
19 everybody's comment on this, is that perhaps in the
20 case of treatment failures, that could be part of
21 the drug application file.

22 So, those are sort of my initial thoughts,

1 but I would like to open it up to the committee,
2 again sort of in this topic, and let's hear what
3 you all think about this, clinical microbiology
4 issues as they relate to Items 4 and 5.

5 General discussion. One is we ran out of
6 coffee and people are sagging, or the other is that
7 there are no issue.

8 Go ahead, Dr. Wilkerson.

9 DR. WILKERSON: To assume that drug
10 resistance is not going to occur, or is going to
11 occur infrequently is probably relatively naive. I
12 think part of the problem is we don't look for it,
13 we don't have laboratory methods at least on a
14 clinical level to evaluate for drug resistance.

15 If someone is treated with a topical or
16 oral course of antifungal, and it doesn't work, the
17 decision of the clinician is to just move forward
18 generally with another drug or tell the patient to
19 live with it.

20 So, I am not sure that we don't have drug
21 resistance here already. It may be just the fact
22 that we don't recognize it because we don't have

1 any means for screening for it like we do bacterial
2 resistance, and we don't look for it. The
3 techniques that were described this morning aren't
4 available on a clinical basis for general use.

5 As far as the MICs, I am assuming we are
6 talking about new drugs, new chemical compounds. I
7 mean I would think that would be essential for any
8 NDA type of application, that we need to know the
9 pharmacology, we need to know when we put it into a
10 particular vehicle or incipient, does it, in fact,
11 deliver the compound, or does it sit there, you
12 know, what are the pharmacodynamics that drive the
13 compound out of the incipient and into the target
14 organism or cell.

15 Just assuming that a 1 percent
16 concentration does this and that we can strip it
17 off the tape later, you know, for all we know, you
18 know, the compound is sitting on top of the stratum
19 corneum and doing absolutely nothing, yet, by the
20 crude tape stripping methods that we use to
21 evaluate this, one thing and another, it would
22 still show up in the chemical analysis.

1 I think, going forward, you know, since
2 these techniques are available, these are things
3 that should be looked at for new compounds, new
4 applications.

5 DR. CANTILENA: Thank you.

6 Any other comments in general? Dr.
7 Benowitz.

8 DR. BENOWITZ: It seems to me we still
9 have a lot to learn about mechanisms of fungal
10 resistance. It looked pretty convincing from what
11 I heard today that there is not much of a problem
12 with the current drugs, and the question is with
13 new drugs, would resistance be different. We need
14 to know more about how the fungus works.

15 I think laboratory in the U.S., like is
16 being done now, should follow this, but I don't
17 know yet that it needs to be done as part of every
18 new drug evaluation. It is just a big vacuum in
19 terms of mechanisms.

20 DR. CANTILENA: Dr. Ghannoum.

21 DR. GHANNOUM: Just a comment about this
22 number. I think I agree with you if we think

1 resistance is not going to develop, it's not right,
2 which could be rare I agree, because we already saw
3 at least one patient, which is very well
4 characterized, so I think it will happen.

5 The fact that the method is just
6 developed, we have the paper coming out in July
7 issue of Journal of Clinical Microbiology, and the
8 method will be adopted and available to the other
9 laboratories in January of 2005. So, we are there
10 as far as availability.

11 As far as measuring the MIC, from the
12 clinical point of view, I think if we look at how
13 we use MICs in the systemic agents, where we have
14 methods available, what we do, we don't do it
15 routinely, we do it for patients who fail therapy,
16 and then you do it, and they say, okay, this is
17 resistant, so you can switch drugs.

18 That is well documented and it is one of
19 the IDSA guidelines, Infectious Disease Society of
20 America, that it should be for those who fail
21 therapy.

22 Now, as far as part of drug development, I

1 think it is very important that we have a baseline,
2 I mean otherwise how are you going to know whether
3 a drug works, not work, and once you have that
4 available, it is going to help you in the long run
5 whether there will be a change in MIC or not as you
6 are monitoring patients, so that is what I can say.

7 DR. CANTILENA: Thank you.

8 Other comments? Okay. If there are no
9 objections and no further discussion on this topic,
10 why don't we actually go to Question 4.

11 Given the efficacy rates observed in the
12 clinical trials, should antifungal drug resistance
13 be a concern?

14 Actually, what I would like to do, Dr.
15 Ganley and Dr. Wilkin, if this is acceptable, is to
16 do this as a yes/no vote and then ask the
17 individual to say what the concern is, so that you
18 will know what is going on.

19 Why don't we start voting over on this
20 side. Actually, before we start the voting, I
21 would like to ask the non-voting members if they
22 would like to comment on Question 4, first of all,

1 so I don't omit that as I have done in the past.

2 So, I will start over here, Mr. Kresel,
3 and then Dr. Alfano.

4 MR. KRESEL: Based on the data that we saw
5 this morning, I agree, as a microbiologist, that
6 ultimately, you will see some resistance, but based
7 on the tons of these products literally that are
8 used every year, and for the number of years they
9 have been used, I don't see that it creates a
10 concern, the difference between whether it will
11 happen and whether it's a concern.

12 So, yes, I agree it will happen, but, no,
13 I don't think it is really a concern at this point.

14 DR. CANTILENA: Dr. Alfano.

15 DR. ALFANO: I agree that I don't think it
16 is a concern. It is difficult when the efficacy
17 rates are linked to resistance, because in this
18 particular condition, as we have heard, the
19 efficacy can be playing off of other parameters,
20 i.e., the anatomical deformities, and so forth,
21 that exist, but I didn't hear any data of any
22 significance that drug resistance is a problem.

1 DR. CANTILENA: Thank you.

2 Why don't we continue here and we will
3 just go around this way.

4 Dr. Ten Have.

5 DR. TEN HAVE: You want a yes/no to what
6 question?

7 DR. CANTILENA: Question No. 4, yes/no,
8 and if you are concerned, if you can explain your
9 concerns.

10 DR. TEN HAVE: Given I have no knowledge
11 in this area, I am going to approach it from a
12 slightly different point of view. I agree that the
13 efficacy rates probably could be higher, but, of
14 course, there are other reasons in addition to the
15 other parameters that Dr. Alfano just mentioned.

16 We haven't really looked at other factors,
17 such as non-adherence, which could be a big factor
18 in the lack of efficacy, if there is a lack of
19 efficacy, so I would say given my lack of knowledge
20 in this area, no.

21 DR. CANTILENA: Thank you.

22 Dr. Wood.

1 DR. WOOD: No, but, of course, our tense
2 is in some way future tense, and there is no way of
3 telling that for drugs that are under development
4 or might appear in the future.

5 DR. CANTILENA: We are not going to let
6 you two vote.

7 Dr. Bisno.

8 DR. BISNO: No. In a word.

9 DR. CANTILENA: That was Dr. Bisno. In a
10 word, he said no.

11 Yes, Dr. Ghannoum.

12 DR. GHANNOUM: No.

13 DR. CANTILENA: Dr. Katz.

14 DR. KATZ: No.

15 DR. SCHMIDT: No.

16 DR. CANTILENA: That was Dr. Schmidt.

17 Dr. Davidoff.

18 DR. DAVIDOFF: I am not entirely clear
19 what we are voting on, because it seems to me there
20 are two concerns. One of them is a biological
21 concern, and the other one is a regulatory concern,
22 and I am not sure which one this is really

1 referring to.

2 DR. CANTILENA: You can answer it actually
3 both ways.

4 DR. DAVIDOFF: I think that there is a
5 biological concern. I mean it took many years
6 before the pneumococcus became resistant to
7 penicillin, 30, 40 years of exposure. So, I think
8 that there could very well be biological and
9 clinical concerns over time for resistance with
10 these organisms, so I would vote yes, that is a
11 clinical concern.

12 Is it a regulatory concern? I would say
13 no.

14 DR. CANTILENA: Thank you.

15 Dr. Whitmore.

16 DR. WHITMORE: No.

17 DR. CANTILENA: Dr. Fincham.

18 DR. FINCHAM: It is difficult to answer
19 yes or no, everybody knows that, but I think it is
20 a concern. I guess my concern relates to I don't
21 think we really know, as Dr. Benowitz pointed out,
22 a lot about a lot of things here, one of which is

1 how many of these infections, so to speak, are
2 repeat infections, multiple, multiple, multiple
3 cases, time after time after time, and is that due
4 to non-adherence, is it due to misunderstanding of
5 what the drug is, is it related to something else.

6 So, I think it is a concern.

7 DR. CANTILENA: Dr. Ringel.

8 DR. RINGEL: Basically, no for now, yes
9 for eventually. I do think that it would make
10 sense for new NDAs to include minimal inhibitory
11 concentrations because you really don't know how to
12 interpret the future if you don't know what is
13 there in the present.

14 DR. CANTILENA: That is actually Question
15 5, so you will have an opportunity to say that
16 again in a minute.

17 Dr. Lam.

18 DR. LAM: I agree. Right now based on the
19 data that has been presented today, I don't think
20 it is a concern at this moment, but we know fungus
21 are pretty smart and it may be a concern down the
22 road.

1 DR. CANTILENA: Dr. Patten.

2 DR. PATTEN: I join Dr. Davidoff in a
3 split vote. I will vote no from a regulatory point
4 of view, but yes in terms of the future. I mean
5 theoretically, yes, it is going to happen. Fungi
6 have been around for a long time, undergoing
7 natural selective pressures, no reason to think
8 they won't respond to this.

9 DR. CANTILENA: Dr. Wilkerson.

10 DR. WILKERSON: As far as for the present,
11 no, but looking forward, so we don't get the
12 flesh-eating Tinea rubrum, and be blamed 15 years
13 from now that we didn't stop the epidemic when we
14 could have, I think we need to be aware and
15 monitoring for that, but it is not an issue with
16 the current drugs.

17 DR. CANTILENA: Dr. Raimer.

18 DR. RAIMER: I agree, no for now, but
19 possibly yes in the future.

20 DR. CANTILENA: Dr. Epps.

21 DR. EPPS: I concur on no at this time. I
22 guess a comment about some of my patients.

1 Certainly, a lot of them, as a subspecialist, have
2 already used products when they come, and I
3 certainly have faith that quite a few of them are
4 compliant. As a parent who applies medication to
5 their child, I think a lot of them do. I guess the
6 difficulty is proving the resistance.

7 DR. CANTILENA: Dr. Clapp.

8 DR. CLAPP: No for now, yes for a concern
9 for the future.

10 DR. CANTILENA: Dr. Benowitz.

11 DR. BENOWITZ: I sort of have a split
12 vote, but my concern is actually regulatory for new
13 drugs. I would say no for the current classes of
14 antifungal drugs because resistance is rare, but I
15 think when there are new drugs that come out, there
16 are going to be new mechanisms of resistance, and I
17 think that we should look at the potential for
18 developing resistance when there are new classes of
19 drugs that are introduced.

20 DR. CANTILENA: Thank you.

21 Ms. Knudson.

22 MS. KNUDSON: My vote is exactly the same,

1 no for right now, but yes for the future.

2 DR. CANTILENA: Thank you.

3 My vote is from a regulatory standpoint,
4 no, at this time; from a clinical standpoint, yes.

5 Now that we have given you all those
6 options, Dornette is going to give us the vote
7 tally.

8 LCDR SPELL-LESANE: 18 no and 1 yes.

9 DR. CANTILENA: With all the
10 qualifications that are fortunately on the
11 transcript. Okay. Very good.

12 Let's go to Question 5. Should antifungal
13 MICs be determined for clinical isolates during
14 drug development and submitted with the NDA?

15 I think we have some of your answers, but
16 we have to have anyway for the transcript, so let's
17 start on this side over here.

18 Ms. Knudson.

19 MS. KNUDSON: I will have to pass. I
20 can't answer that.

21 DR. CANTILENA: Okay. Dr. Benowitz.

22 DR. BENOWITZ: I would say yes, now that

1 we can do this, I don't see any reason why we
2 shouldn't do it, and it might be very informative.
3 I would say yes.

4 DR. CANTILENA: Dr. Clapp.

5 DR. CLAPP: I would say. I think that
6 helps address our concern about the resistance for
7 the future drugs.

8 DR. CANTILENA: Dr. Epps.

9 DR. EPPS: I certainly think it could be
10 helpful and informative. I have met some
11 infectious disease people who wonder about MICs and
12 the relevance, and that sort of thing, but perhaps
13 it would help us look forward to know with more
14 data what is pertinent and whether it's relevant.

15 DR. CANTILENA: Dr. Raimer.

16 DR. RAIMER: Yes.

17 DR. CANTILENA: Dr. Wilkerson.

18 DR. WILKERSON: I am assuming this was
19 referring to in vitro or in vivo MICs?

20 DR. CANTILENA: In vitro on the isolates.

21 DR. WILKERSON: In vitro?

22 DR. CANTILENA: On the isolates.

1 DR. WILKERSON: I think it is part of an
2 investigative process, it is absolutely essential.

3 DR. CANTILENA: Dr. Patten.

4 DR. PATTEN: I vote yes.

5 DR. CANTILENA: Dr. Lam.

6 DR. LAM: Yes, and it would allow us to
7 learn more about may drug resistance and fungal
8 resistance, and help us to devise strategies to
9 prevent them down the road.

10 DR. CANTILENA: Thank you.

11 Dr. Ringel.

12 DR. RINGEL: Yes.

13 DR. CANTILENA: Dr. Fincham.

14 DR. FINCHAM: Yes.

15 DR. CANTILENA: Dr. Whitmore.

16 DR. WHITMORE: Yes.

17 DR. CANTILENA: Dr. Davidoff.

18 DR. DAVIDOFF: Yes, although I assume that
19 it's not just the drug developers who are going to
20 be studying MICs, it's clearly a wider problem.

21 DR. SCHMIDT: Yes.

22 DR. CANTILENA: That was Dr. Schmidt.

1 Dr. Katz is yes?

2 DR. KATZ: Yes.

3 DR. CANTILENA: Dr. Ghannoum, yes.

4 Dr. Bisno?

5 DR. BISNO: First, to go back to 4 for
6 just a second, we didn't discuss really in any
7 detail what the reasons are for the lower efficacy
8 rates when using drugs that are obviously very
9 potent.

10 Some things came up about hammertoes and
11 local factors and everything, but it seems to me
12 that there needs to be more emphasis on what the
13 factors are that make failure when you are using
14 highly potent drugs, because if we don't identify
15 those, then, we are going to be doomed to wasting
16 all these drugs, because we will be doomed to fail.

17 So, I would like to see more interest in
18 that anyway.

19 Now, to go on to 5, yes, it is true that,
20 as an infectious disease person, we do believe in
21 MICs, but we don't believe in them absolutely, but
22 I think the fact is that if someone presented an

1 NDA that showed a tremendous clinical potency for a
2 particular drug against particular isolates, and
3 yet it was resistant by MICs, we would all scratch
4 our heads and have to go back to the drawing boards
5 a bit, so I definitely think this should be part of
6 drug development and submitted with the NDA.

7 DR. CANTILENA: Thank you.

8 Dr. Wood.

9 DR. WOOD: Yes.

10 DR. CANTILENA: Dr. Ten Have.

11 DR. TEN HAVE: Yes.

12 DR. CANTILENA: Comments from Dr. Alfano
13 and Mr. Kresel.

14 MR. KRESEL: I agree and I think in order
15 for that to be meaningful, you would also want to
16 do MICs on clinical failures, because you want to
17 see if there has been any change in the MIC. If
18 you start out susceptible and end up resistant,
19 which is highly unlikely given the data that we saw
20 today, but nevertheless, an MIC at the beginning
21 and a failure at the end is really not very
22 compelling data.

1 DR. CANTILENA: Thank you.

2 I also vote yes. I think it would be
3 especially helpful in explaining treatment failures
4 if they should occur.

5 I am sorry, have you commented? I thought
6 you passed, Dr. Alfano.

7 DR. ALFANO: Pass.

8 DR. CANTILENA: So, you did pass.

9 DR. ALFANO: Yes.

10 DR. CANTILENA: You have sensitized me now
11 forever for skipping you, so I am going to ask you
12 at least five times every vote.

13 We will go to the next slide which changes
14 topics now. Now, we are actually specifically
15 talking about drug development. Basically, I would
16 like to center the initial discussion on, first,
17 Question 2, which has to do with drug response.

18 Dose response studies are not conducted in
19 the development programs of antifungal products for
20 the treatment of tinea pedis. Given the efficacy
21 of products currently marketed, should they include
22 dose response, you know, specifically, they

1 evaluate safety and efficacy at different
2 concentrations, dosing durations, and dosing
3 frequencies?

4 Let's initially sort of focus our
5 discussion, if you will, on the whole issue of
6 including exposure response type of information in
7 drug development for new antifungals for this
8 indication.

9 I guess we will just open up the floor.

10 Dr. Wilkin.

11 DR. WILKIN: Would you still want the
12 efficacy information on the systemic agent that Dr.
13 Wood requested?

14 DR. CANTILENA: Yes, we do. We have to
15 have that because that was a homework assignment
16 that we gave you.

17 DR. WILKIN: That was a homework
18 assignment. The systemic antifungals are in a
19 different division, so it took us a few moments to
20 find out, and I will describe--and this ought to be
21 available through Freedom of Information, and I
22 assume this is so old, this is the ketoconazole

1 oral.

2 Protocol 009, patients were eligible if
3 they had dermatophyte infections: one, which had
4 been resistant to prior topical antifungals; two,
5 if topical treatment were contraindicated due to
6 the extent of the fungal infection; three, if the
7 infection had failed to respond or had recurred
8 after griseofulvin therapy; or, four, if patients
9 requiring griseofulvin could not tolerate the drug.
10 So, those are the entry criteria.

11 There were 47 evaluable subjects. There
12 were 3 separate study centers. The patients who
13 were in the negative KOH and culture group, that
14 was 70 percent. We call that the mycologically
15 negative. You have also earlier heard the phrase
16 "mycological cure."

17 Then, the clinical and mycological cure
18 was 62 percent, and that is above, well above
19 actually, the rates that we saw in the topicals
20 earlier.

21 DR. CANTILENA: And that was what dose of
22 ketoconazole, and how long was the treatment?

1 DR. WILKIN: Patients were treated with
2 either 200 or 400 milligrams a day for a minimum of
3 28 days and a maximum of 60 days.

4 DR. WOOD: Just for clarification, that
5 would be the equivalent of Slide 19 in Dr.
6 Fritsch's presentation, is that what we are saying?
7 The clinical and mycological cure would be the
8 equivalent of a complete cure on her slide, is that
9 right?

10 DR. WILKIN: No, this is an older review,
11 and it is really not that clear whether this would
12 fit most with effective treatment and allow for
13 some or not, because they say global clinical
14 assessment was recorded as cured, markedly
15 improved, moderately or slightly improved,
16 unchanged, or deteriorated, and, as healed, mild,
17 residual lesion or considerable residual lesion,
18 unchanged or deteriorated in 9, and 9 is the one we
19 are talking about.

20 So, it is not quite clear as I read this
21 whether it fits with one or the other.

22 DR. WOOD: But the 62 percent is that

1 clinical endpoint plus mycological cure?

2 DR. WILKIN: Exactly so.

3 DR. CANTILENA: Thank you. That was the
4 fastest Freedom of Information response I have ever
5 heard of. Same day service. Thank you very much.

6 Comments, concerns looking at dose
7 response, safety and efficacy at various sort of
8 exposure responses for drug development of future
9 agents? Dr. Katz.

10 DR. KATZ: I am a bit confused. Are we
11 talking about issues for the committee now?

12 DR. CANTILENA: Yes, this is sort of like
13 Issue No. 2 for the committee as it relates to drug
14 development programs.

15 Should sponsors be doing exposure response
16 for dried development for new products for
17 over-the-counter? I think you have heard earlier
18 about the exposures in either changing the
19 concentrations or the application frequency or the
20 length of application, and that is really what we
21 are talking about.

22 Comment, Dr. Whitmore?

1 DR. WHITMORE: I guess we can't ask
2 Novartis to do this, so this would of their own
3 accord. It would be nice, as has been said here,
4 to know if 3 days of therapy is the same as 7 days
5 of therapy, but for future companies coming forth
6 with the antifungals, it would be nice to have
7 comparator days of dosing.

8 I think, more importantly, at least with
9 the antifungal chemicals that we know of right now,
10 in that the MICs are such that you are going to be
11 killing them, you are above the MIC and everything
12 else, but with those drugs, as far as different
13 dosing regimens, if they could come up with a
14 dosing regimen that has whatever acceptable percent
15 clearance, we are talking about 70 or 80 percent or
16 whatever of clearance, and then have a level of
17 dosing somewhere below that where it's a lesser
18 clearance rate.

19 Thus, to kind of restate that, when they
20 are doing studies, come up with a dose response
21 based on the number of days of dosing, so we know
22 we are at the minimum number of days to get 80

1 percent clearance or whatever.

2 DR. CANTILENA: Very good.

3 Dr. Schmidt.

4 DR. SCHMIDT: Don't the drug companies do
5 this already? I mean this seems awful simple, you
6 know, that even before they would even approach or
7 when they come to the committee or the FDA, they
8 would have some evaluation of, say, different
9 concentrations or some idea of the dosing and
10 dosing frequencies.

11 Maybe I just don't understand how we are
12 supposed to present this to them. This just seems
13 like it's a given.

14 DR. WHITMORE: Can I just say it's kind of
15 a new area because we have just gotten down to 7
16 days of dosing, and we have never talked about
17 anything less than that to clear up tinea pedis, so
18 that is kind of a new thing as far as asking drug
19 companies to look at 2 days and 3 days.

20 DR. CANTILENA: I think I could say that I
21 have heard that in terms of dose response, there
22 are some companies that had animal data that we

1 have heard about, but I think what I have heard
2 from FDA is in terms of clinical studies, you know,
3 it's almost unheard of.

4 So, it isn't available from the actual
5 studies, and they are asking us if we think it is a
6 good idea for that to be included in the NDA.

7 DR. SCHMIDT: Well, I think definitely, it
8 is a good idea, but it just seems like a no-brainer
9 in the sense that the people would do it from the
10 start to present that data. It is just a point of
11 order of how these studies are done, but no, I
12 agree definitely we should.

13 DR. CANTILENA: Comments from FDA to help
14 clarify the issue?

15 DR. WILKIN: If I could just make a
16 comment on the guinea pig model, I mean that is one
17 where, quite literally, you have to hurry up and
18 treat it before it goes away. I mean it is going
19 to go away on its own, so there are limited days.
20 I am not sure that the skin of the guinea pig
21 really reflects the skin between the toes or on the
22 plantar surface of the foot. So, there are many

1 relevant aspects of that model that really would be
2 dissimilar and maybe not predictive.

3 DR. GHANNOUM: If I might comment on this.
4 I agree with you, we are not guinea pigs, so we are
5 not going to have the same data you will see in a
6 patient exactly, but I can tell you from our
7 experience with all the different classes of
8 compounds that are now, whether topical or oral,
9 that the guinea pig can predict whether the drug
10 works or not.

11 You can see, for example, we have--not to
12 bias, to be on anybody's side--but we have a
13 positive control in the guinea pig, and it works
14 beautifully, and when you compare it to other
15 drugs, which does not work as well, although it is
16 marketed, you will see the same.

17 I agree with you, the caveats which you
18 mentioned are very important, because if you leave
19 it up to 17 days, then, it is not good, but if you
20 have that window of 9 days to do the whole
21 experiment, then, I think it's predictive. The
22 drugs that have been approved were tested in this

1 in the preclinical setting, and I really believe it
2 is a very useful way to tell you whether, when you
3 move from the in vitro to the in vivo, that things
4 are going to work, number one, and number two, you
5 can see the dosing also predictive, but eventually,
6 you have to go into patients obviously.

7 DR. CANTILENA: Dr. Wilkin.

8 DR. WILKIN: Dr. Ganley earlier mentioned
9 the "cures most" and the thinking that went into
10 those words and how the original hope was that most
11 would qualify the cure in a positive direction.
12 Perhaps one of the unintended consequences of that
13 is it is actually this incentivized competition for
14 more effective products.

15 If that is going to be on the labeling for
16 virtually all these over-the-counter topical
17 antifungals, then, when the marketing groups at the
18 different industries try to decide whether there is
19 a place where they can make a profit with the new
20 medication, and incidentally, that is how we get
21 all the new and important drugs that are helpful to
22 the public health, is someone, somewhere is going

1 to make some money.

2 I mean that is the American way, and I
3 think it has a lot of positive aspects to it, so I
4 am certainly not going to give any negative side.

5 But the one place that currently I think,
6 if they look at these products and look at the
7 labeling, is make it quicker, make it less time.

8 I walked by the microwave the other day.
9 We have a microwave at FDA out at the corporate,
10 and I was going in there to do something, and
11 someone was standing by the microwave going "hurry
12 up, hurry up," and I think that is one of the great
13 things that patients want with products also, is
14 they want something that is faster.

15 So, that is where the incentive today is,
16 and maybe part of this plays into the labeling,
17 maybe it cures most. If that gets modified, we can
18 then encourage sort of moving towards higher
19 concentrations, maybe somewhat longer durations in
20 an effort to get better efficacy.

21 DR. CANTILENA: Thank you.

22 Dr. Wood.

1 DR. WOOD: Seeing that just came up, the
2 most again, I don't think the Agency should allow
3 labels that say "most," unless it is based on
4 comparison. "Most" is a word that has a clear
5 meaning. It means that it cures more than any
6 other something. You know, it is not more, it is
7 not a comparison, it is most, so it implies that
8 this is better than anything else, and that is
9 clearly not true, so it shouldn't be on the label.

10 But that wasn't what I wanted to say. It
11 seems to me that you should insist on exposure
12 response, and that is better I think than dose
13 response, because my fear would be that somebody
14 will do a study that beats placebo with one dose,
15 and that doesn't mean that 7 days wouldn't have
16 cured a lot more people.

17 Consumers will assume that the drug has
18 been evaluated in a way that tested it and gave
19 them the most--I will use that word--effective
20 therapy, and not just any old therapy.

21 So, I think there is a real need to ensure
22 that exposure is evaluated, to make sure that you

1 are not forced constantly into the least effective
2 dose, even if it only cures, you know, fill in the
3 percentage.

4 So, I think it is absolutely essentially
5 that you know where you are on the exposure
6 response, not the dose response, and that you have
7 some understanding that you are at the plateau
8 level of what is being produced and before you
9 approve it.

10 DR. CANTILENA: Yes, Dr. Ten Have.

11 DR. TEN HAVE: Following up on Dr. Wood's
12 comment, and I think I am interpreting it
13 correctly, but correct me if I am wrong, so with
14 exposure, you are talking about duration times
15 dose, the total.

16 DR. WOOD: Correct, or some combination,
17 yes, and it might be number of times per day or
18 some variable.

19 DR. TEN HAVE: So, following up on that
20 comment and also Dr. Wilkin's comment about
21 increasing dose, but shortening the duration, it
22 seems to me that the companies are interested in

1 shortening duration, but not necessarily increasing
2 dose, and I may have that wrong, too, but
3 increasing dose obviously increases efficacy, but
4 may work against safety, so you have two competing
5 criteria there.

6 I presume that the interest in increasing
7 dose is to get at the non-responders. I know in
8 other areas of medicine, there is sort of a
9 stepped-up approach where if you don't respond at a
10 certain dose, you step up the dose if you don't
11 respond, so you can get a higher response rate for
12 the non-responders.

13 I am wondering if that is feasible in this
14 situation, or is it just not clinically feasible?

15 DR. CANTILENA: Dr Whitmore.

16 DR. WHITMORE: Pass.

17 DR. CANTILENA: Mr. Kresel.

18 MR. KRESEL: I was just going to comment
19 on the classic anti-infective drug development
20 paradigm as it relates to this, because what we
21 usually look at as we are determining the dose is
22 the half-life, the area under the curve, MICs when

1 they are available, bioavailability of the
2 formulation, and tolerability to the patient.

3 So, I think that there is not likely to be
4 the need to test multiple concentrations when you
5 have done that preliminary work. Then, you get to
6 dosing frequency, and dosing frequency has a very
7 limited number of options, as I think somebody
8 commented on earlier.

9 You can do once a day, you can do twice a
10 day, patients are going to carry their medication
11 with them to work and take their shoes and socks
12 off in the middle of the day and do another dose.

13 So, you can dose something three times a
14 day, and you can get labeling for three times a
15 day, but you won't get any compliance to three
16 times a day, so that it becomes kind of a moot
17 point.

18 So, I think dosing duration becomes the
19 one that probably ought to be thought about, and I
20 think that FDA probably should determine what the
21 "gold standard" is. That is, if four weeks is the
22 gold standard, then, people should have to compare

1 to four weeks, are you better than or worse than
2 four weeks, or as good as four weeks, you know, are
3 you as good at three days as you are at four weeks,
4 or if the gold standard is one week, then, the
5 comparison should be that, but I don't know that
6 there is a lot of value to be gained at looking at
7 multiple concentrations if the preclinical work is
8 done adequately, and certainly I don't think dosing
9 frequency helps.

10 DR. CANTILENA: Dr. Davidoff.

11 DR. DAVIDOFF: I agree with and extend a
12 little bit what has just been said. It seems to me
13 that the assumption that has been expressed a
14 number of times is that the concentrations that are
15 generally implemented are high enough, so that they
16 are essentially nuking the bugs in terms of the
17 concentration.

18 The issue, though, does seem to be
19 primarily duration of exposure. Judging from the
20 data on clotrimazole, there was a fairly clear
21 difference between one week and four weeks of
22 exposure, minimal or maybe zero difference between

1 one and four weeks for terbinafine, so I think it
2 is not a fair assumption that one week is as good
3 as four weeks for all drugs, and it does seem
4 entirely reasonable to look for exposure-response
5 relationship.

6 Otherwise, both the committees, the
7 Agency, and clinicians are essentially flying
8 blind, and five years from now or 10 years from
9 now, they will still be in the dark as to how to
10 make the decisions if they don't have the data.

11 DR. CANTILENA: Dr. Lam.

12 DR. LAM: I actually concur with Dr.
13 Schmidt in that I thought dose-response studies are
14 given in drug development, and I was surprised to
15 see that this group of drugs is not required to do
16 that.

17 Given the difference in response rate
18 between one-week regimen and four-week regimen, I
19 would imagine that there has to be some sort of an
20 exposure-response relationship, and I would like to
21 kind of turn the question into the opposite
22 direction in terms of is there any historical

1 reason or scientific reason that this group of
2 drugs should be exempt from doing that.

3 DR. CANTILENA: Historically, you heard
4 sort of how they got here, but I think in terms of
5 the Rx to OTC switches, I don't have that history.

6 Would you like to comment on that, Dr.
7 Wilkin?

8 DR. WILKIN: Well, actually, requesting
9 dose-response studies, you know, the Code of
10 Federal Regulations is pretty good in giving us
11 information on how to seek efficacy and safety
12 information, but it is somewhat edentulous when it
13 comes to going after dose ranging, and there is a
14 ICHE.4 document that talks about dose ranging and
15 exposure response, and interestingly enough, the
16 last part of that is devoted to Phase IV dose
17 ranging.

18 When I first heard that, I thought, you
19 know, that might be some regulatory humor, but it
20 really does exist, you know, that you can look for
21 this after something has already been approved, and
22 generally, that is in the circumstance of where

1 there is a safety issue and one might be trying to
2 find a lower dose that might be just as effective.

3 But, no, we really are often at the mercy
4 of the sponsors to whether they find it something
5 that they want to do.

6 DR. CANTILENA: Dr. Ringel.

7 DR. RINGEL: Many of these antifungals
8 have been around, I don't know, I can't imagine,
9 probably since the turn of the century, 1920, some
10 of the older ones like heliprogen [ph], I think it
11 is high time that we stop testing these drugs
12 against placebo and start testing them against the
13 known drugs, the drugs that we have that we know
14 work, that at least another monograph system have
15 been approved since 1982.

16 If you think about it, the competition
17 right now is how infrequent and how short a
18 duration can you give these medications and still
19 have them work. Theoretically, you could have a
20 drug that has a very steep response curve, so that
21 you could get a maximal response in a very short
22 duration, but the ultimate cure rate could still be

1 very low.

2 I mean you could get to a 20 percent cure
3 rate very quickly, but maybe all you will get is a
4 20 percent cure rate, and if you are only going to
5 test them against placebos, you may actually be
6 approving drugs that are less efficacious than the
7 ones that are already out there.

8 So, I think at this point, you know, 2004,
9 I think it's time that we stop testing against
10 placebo and start testing against known agents.

11 DR. CANTILENA: Thank you.

12 Dr. Katz.

13 DR. KATZ: The point of necessity of new
14 drugs getting dose-response studies, as brought out
15 by Mr. Kresel's comment of comparing to gold
16 standard, the problem is we have no gold standard.
17 I mean our gold standard is, over placebo, 30
18 percent efficacy, or if you see Dr. Fritsch's page
19 9, page 10 cure rates of 20 percent over placebo,
20 so we do need dose response.

21 There may be drugs that we know with the
22 oral antifungals, they can use one week a month and

1 get equal effect, so it may be topical preparations
2 would be in development that have to be used much
3 less frequently or better cure rates if they use
4 more frequently, so dose response I think would be
5 very important because we have no gold standard.

6 DR. CANTILENA: Thank you.

7 Dr. Wilkerson.

8 DR. WILKERSON: I just wanted to say I did
9 my homework before I came, and went to the grocery
10 store, you know, a drugstore, and looked at the
11 over-the-counter antifungal products that were
12 there. Even as someone who I think is relatively
13 sophisticated as far as these things, I was
14 confused by everything that was out there.

15 I thought part of the gist or thrust to
16 this was to maybe bring some comparative value for
17 the consumer to the table, such that the consumer
18 could be guided on something beyond packaging and
19 advertising claims as to which agent to pick,
20 because when you go in there to look, I like the
21 question a while ago, even using the same brand
22 name, you are talking about two totally different

1 antifungal agents, it is very, very confusing.

2 My recommendation would be a simple analog
3 scale, much like what we have done with the
4 psoriasis drugs with the biologic compounds, is
5 that we set a minimal efficacy level and allow that
6 to be used as the standard, whatever it be, 60
7 percent or whatever, so that consumers can actually
8 compare between different products.

9 If I pay X dollars for this, I will get
10 this level of potential cure versus paying \$3.00
11 for this generic that will give me this potential
12 level of cure.

13 I think there has to be some, you know,
14 and even amongst physicians, most physicians'
15 choices are based upon who the last rep was that
16 was in their office, that they remember their name.
17 There is very little science that goes into picking
18 these antifungals on the consumer or on the
19 physician level.

20 As far as the basic pharmacology, to me,
21 this is just a slam dunk. I mean if you are going
22 to put a drug on the market, you need to know the

1 pharmacology of it.

2 DR. CANTILENA: Dr. Benowitz.

3 DR. BENOWITZ: I would say first that I am
4 sympathetic to the idea that if you have a good
5 animal model, and you know pharmacokinetics and
6 mechanism of action, that you can simplify the
7 process and reduce the need to do dose response
8 studies.

9 However, I don't think we are there, but I
10 think we should try to get there. So, some of the
11 things that I would suggest, for example, is that
12 guinea pig data really be analyzed in a systematic
13 way and brought to FDA to see how predictive it
14 really is based on what we know from a whole
15 variety of current agents, and see how good that
16 test is.

17 I think we need PK data including things
18 like both concentrations in skin and also
19 persistence. It was of interest to me that
20 terbinafine, I guess is the one persistent in the
21 skin for up to 5 weeks after the end of
22 administration. I think that is what I heard, and

1 other drugs don't do that, and that obviously is a
2 key factor when you are dosing something, how long
3 it stays in the skin.

4 It is going to influence what level you
5 build up. So, I think it's a potential in the
6 future, if we really got good PK data, good skin
7 concentration data, good persistence data, and good
8 animal model, but until we do that, I think we need
9 to have dose response data.

10 DR. CANTILENA: Dr. Wood.

11 DR. WOOD: Could I just add to Neal's
12 comment, and I think we need to be pretty careful
13 of the animal model. The animal model, from what I
14 have heard, is, by definition, fundamentally
15 different from the human model.

16 The animal model is self-curing, the human
17 model is not, so mechanisms of action that might be
18 effective in the animal model, that would
19 accelerate the self-cure, and would probably not be
20 effective in humans, so I think the confidence in
21 the animal model is, from what I have heard,
22 grossly overstated right now.

1 We know that it does not reproduce what we
2 see in humans, and that is something we need to be
3 careful about.

4 DR. CANTILENA: Dr. Davidoff.

5 DR. DAVIDOFF: That really needs a point
6 of clarification, and that is, are we talking about
7 clinical dose ranging studies or animal dose
8 ranging studies, or both.

9 DR. CANTILENA: Clinical.

10 DR. DAVIDOFF: Okay.

11 DR. CANTILENA: Dr. Bisno.

12 DR. BISNO: Help me out as a
13 non-dermatologist, because I am not sure what we
14 are talking about in terms of the endpoints here.
15 Are we talking about negative mycology, effective
16 treatment, or complete cure?

17 Particularly, I don't understand complete
18 cure when you have eradicated the fungus, you have
19 effectively improved the patient's symptomatology,
20 and there is a tremendous gap between that and
21 complete cure, what is in that area, are those just
22 some residual scaling and flaking, and things like

1 that, that are maybe of no clinical significance at
2 all.

3 So, if some of my dermatologic colleagues,
4 who understand this a lot better than I, can
5 explain what that big gap is and is it worthwhile
6 to shoot for complete cure when even the best stuff
7 that we have now is getting 20 percent cure, and we
8 seem to be curing it mycologically and in terms of
9 symptomatology, is that a reasonable standard to
10 set.

11 DR. CANTILENA: Comments from the
12 dermatologists?

13 DR. KATZ: Well, simply the difference
14 there is clinically improved, in plain English,
15 improved, or completely clear, and improved is very
16 subjective and it is less of an endpoint, because
17 it is subject to the investigator, who may be
18 biased since he is doing the study for the drug
19 company, and it is compared to placebo, which is a
20 necessity, but in plain English, what they call
21 "effective" is improved, which is important, and it
22 may be important enough to some people.

1 In fact, in real life, I check patients
2 back, and, well, how is that doing, that topical
3 treatment doing, oh, that is fine, that cleared me
4 up, and to go along with what Dr. Elewski said, I
5 said, well, let's have a look, and you look and
6 it's 50 percent better. I wouldn't have thought it
7 was that great. So, that is the difference.

8 DR. CANTILENA: Dr. Schmidt.

9 DR. SCHMIDT: I think that these funguses
10 itch like the devil, and they are almost like an
11 insect bite, like a mosquito bite, and most people,
12 and I think these medications work very, very well
13 and very, very fast for the most part, and most of
14 my patients, I don't want to see them back and look
15 at their feet again.

16 So, what I do is I usually tell them to
17 use one of these things, and when I see them again,
18 what they do is use it for four or five days and
19 then they stop, but I don't even wish to cure them,
20 I don't think I can. I think that every six
21 months, or when it gets hot every summer, I tell
22 them you are going to have to use this stuff again.

1 So, I think it's a pipedream, you know,
2 that basically, at least in Houston and the Gulf
3 Coast, you know, maybe up here in Yankeeland, you
4 know, that you are going to do it, but I don't
5 think so down where we come from.

6 DR. CANTILENA: Thanks for clarifying
7 that.

8 DR. GHANNOUM: I just would like to
9 clarify something of the animal model and put it
10 into perspective. I think what our colleague said
11 is very true. It is a good idea to bring all the
12 data together and see how predictive is this model,
13 number one.

14 Number two is this is not a model which is
15 going to replace any clinical data. It is a part
16 of the puzzle. A lot of the time, in the
17 preclinical stage, you take a compound, you test it
18 in vitro. It works great.

19 You go move into the animal model, whether
20 it is for systemic infections or whether it is
21 superficial infections, and the drug does not do
22 anything. So, I think it is a method of another

1 stage for screening of the compound to determine
2 whether it works or not.

3 Now, once that is clarified, and then also
4 it is going to help the manufacturers to test a
5 number of things, to allow them to have some basis
6 for moving into humans. Once they move into humans,
7 obviously, they have to look at, you know, again, a
8 lot of the drugs fail once you move from animals to
9 human, so that is really where I would like to
10 clarify.

11 DR. CANTILENA: All right. Dr. Epps.

12 DR. EPPS: I think it would be useful, not
13 only for dose response, but also for efficacy, to
14 have that kind of information. I like the
15 head-to-head studies, I think that is interesting
16 to compare drugs. I agree there is no real
17 standard, and I think individual response can vary.

18 As far as guinea pigs, you know, we are
19 not talking about IV or oral medication, we are
20 talking about cream on feet, and I think it is
21 pretty straightforward and easy. Obviously, we go
22 through the phases that we should, but I think the

1 hazards are fewer when we are talking about feet.

2 DR. CANTILENA: Comments? Over here, Dr.
3 Alfano.

4 DR. ALFANO: I think Dr. Bisno hit on one
5 of the key issues, maybe the pivotal issue, and
6 that is what are we expecting in complete cure. I
7 think it is, in some ways artificial and
8 potentially misleading.

9 As I think through OTC categories and try
10 to design what would be a complete cure, you think
11 of acne, you think of psoriasis, gingivitis,
12 dandruff. You know, for the most part, we don't
13 achieve complete cures in any of those conditions--

14 DR. CANTILENA: How about headache?

15 DR. ALFANO: --whether they are managed Rx
16 or OTC. So, it seems to be an artificial
17 constraint that is compromised, his point about,
18 you know, if the symptoms are mitigated and the
19 organism is gone, what are we talking about.

20 I think there should be a more appropriate
21 way to view how we see this category going forward.

22 The second comment I had is actually a

1 question to the dermatologists. I mean is there
2 something in between an animal model and to full in
3 vivo use studies. I am thinking going back to the
4 Layden chambers or even organ culture in which skin
5 can be obtained in cultured foreskin, for example,
6 which would be perhaps a more relevant model and
7 allow for some of the type of analysis that FDA is
8 looking for to help with the dose ranging, and so
9 forth.

10 I haven't heard it mentioned at all today,
11 and I don't know if it has fallen into disfavor or
12 whatever, but there was a time when I mean you can
13 do permeability studies and find out about dermis
14 penetration.

15 I know, for example, a cadaver skin
16 actually behaves very much like live human skin, so
17 there do seem to be other mechanisms that could be
18 brought to bear on this problem.

19 DR. CANTILENA: Any comments from the
20 dermatologists? Dr. Wilkin, do you have any
21 experience with those other models?

22 DR. WILKIN: I think this bears on the

1 notion of bioavailability for topical products and
2 bioequivalence. When one is doing a comparison of
3 bioavailability of, say, a new product versus a
4 reference listed product in the setting of a 505(j)
5 Ananda [ph], a generic, or perhaps a 505(b)(2), the
6 relevant part of our regs is 320.24(b)(4), which
7 says that it is a topical trial, that is, it is a
8 regular clinical trial, and you look at regular
9 clinical endpoints.

10 The rationale for why that is different
11 from the drugs that are given systemically is there
12 you have systemically, you have the blood, which
13 may not be perfectly mixed, but is, if you will,
14 well mixed, and it's in equilibrium at some point
15 with the organ site.

16 There is no comparable sampling site, no
17 compartment, if you will, in the skin, and often
18 the way the drug is extracted from different levels
19 in the stratum corneum, it is not even clear
20 whether it was in solution at the time it was
21 extracted, and we know that drugs are not active
22 unless they actually are in solution.

1 So, we haven't figured out a really good
2 way, but when we do, that is going to dramatically
3 lower the current burden that is out there right
4 now for all the generic topical drug products. So,
5 we are keen on hearing a good way to approach that.

6 DR. CANTILENA: Thank you.

7 Mr. Kresel.

8 MR. KRESEL: I just wanted to comment on
9 Dr. Katz's comment about bias, because for one
10 thing, we really don't encourage bias, we are quite
11 adamant about not wanting bias, and there really
12 isn't an incentive on the part of an investigator
13 to be biased, financially or otherwise, since they
14 get paid whether the study fails or not.

15 However, it is one of the reasons why we
16 do placebo-controlled studies, because they are
17 double-blind and placebo controlled, and if
18 everybody gets better, then, in fact, you didn't
19 beat placebo and your drug just failed.

20 So, I think it is one of the reasons why
21 we don't like to introduce active controls, because
22 if, in fact, your control is active and everybody

1 gets better, then, your drug does get approved.

2 DR. KATZ: I didn't mean that in a
3 pejorative way. We are all biased. I just mean
4 that in a normal way, that is why we have placebos
5 in the first place.

6 DR. KRESEL: If everybody gets better,
7 then, you didn't beat placebo, your study fails
8 anyway, your product doesn't get approved.

9 DR. KATZ: I understand that, but that is
10 why we have placebos in the first place, and my
11 comment was only in response to the fact that this
12 intermediate state of effective can mean different
13 things to different people, so if we have placebos,
14 like we do, we can see the true effectiveness of
15 the medication. I was just meaning that in
16 contrast to complete cure. I didn't mean it in a
17 negative manner.

18 DR. CANTILENA: Yes.

19 DR. WILKIN: I was going to make a comment
20 about the active comparator. There is also a
21 document signed by President Clinton, Vice
22 President Gore, 1997. It is called Reinventing

1 Government.

2 There is a section regarding drugs. On
3 page 27 of that, it points out those circumstances
4 where we would use an active comparator, and that
5 would be for indications which are severely
6 debilitating or life-threatening. So, that would
7 be the setting.

8 In other settings, it is clear-cut. It
9 said generally in other settings, an active
10 comparator would not have to be in the mix.

11 DR. CANTILENA: And that is on what page
12 again? No. All right. It's very impressive.

13 Why don't we go to Item No. 2 then, Issue
14 2, in the form of a question for the committee
15 then, under Clinical Efficacy.

16 Given the efficacy of products currently
17 marketed, should topical antifungal drug
18 development programs for tinea pedis evaluate
19 safety and efficacy at different concentrations,
20 dosing durations, and dosing frequencies?

21 Basically, exposure, you know, response,
22 which is what we have been talking about.

1 We will start over here if non-voting
2 members would like to comment, and then we will
3 head around, and if you can justify your answer or
4 just yes or no, and I voted that way because, that
5 would be fine.

6 MR. KRESEL: In order to be consistent
7 with what I have said before, dosing duration I
8 think is the one area that we could probably look
9 at and get some data that would be useful to
10 clinicians, so I would vote yes for dosing
11 duration, but not dosing frequency or dosing
12 concentration.

13 DR. CANTILENA: Dr. Alfano.

14 DR. ALFANO: I don't vote. I would
15 certainly agree with the comment, and I am glad Dr.
16 Wilkin clarified, because I was sitting here all
17 morning wondering why FDA can't get this
18 information that they are asking for.

19 Many years ago, when I used to be in the
20 industry, it seemed to me FDA got whatever they
21 wanted, so I now understand why some of this data
22 is not available to you.

1 DR. CANTILENA: Dr Ten Have.

2 DR. TEN HAVE: Yes, especially with
3 respect to non-responders.

4 DR. CANTILENA: Dr. Wood.

5 DR. WOOD: Yes.

6 DR. CANTILENA: Dr. Bisno.

7 DR. BISNO: Yes.

8 DR. CANTILENA: Dr. Ghannoum.

9 DR. GHANNOUM: I agree with dose duration.

10 DR. CANTILENA: Dr. Katz.

11 DR. KATZ: Yes.

12 DR. CANTILENA: Dr. Schmidt.

13 DR. SCHMIDT: Yes with the dose duration.

14 DR. CANTILENA: Dr. Davidoff.

15 DR. DAVIDOFF: Also yes, and also with the
16 main focus on duration.

17 DR. CANTILENA: Dr. Whitmore.

18 DR. WHITMORE: Yes with the dose duration
19 and frequency, and with regard to concentrations,
20 if we are requiring MICs to be done, I guess we
21 don't need the different concentrations, like, for
22 instance, if something like tea tree oil gets

1 proposed for a treatment, I think in that case, you
2 would need if they came up with it, I don't know,
3 but I think with products that are not established
4 antifungal chemicals like the current ones we have,
5 you would need different testing with different
6 concentrations.

7 DR. CANTILENA: Thank you.

8 Dr. Fincham.

9 DR. FINCHAM: Yes for all, and while I
10 have the mike, it's a naive question or statement,
11 but when you talk about these FDA regulations, and
12 you throw out these numbers and the letters, I
13 don't have a clue what you are talking about. You
14 are intimately involved with this on a day-to-day
15 basis, but if you could just give us a simple
16 explanation of what those are, it would help me
17 immensely, and thank you very much for allowing me
18 to say that.

19 DR. CANTILENA: Well, actually, you didn't
20 ask me if you could say that.

21 [Laughter.]

22 DR. CANTILENA: That was actually in the

1 orientation packet that you got for the advisory
2 committee, it's all the regulations and all the
3 numbers.

4 DR. WHITMORE: I think all the numbers
5 were just citations of the locations. They don't
6 mean anything to us, but they are just citations of
7 where this is.

8 DR. FINCHAM: My comment still stands.

9 DR. WILKIN: I may have said CFR. It is
10 true, we tend to talk in a lot of alphabets. Code
11 of Federal Regulations is basically what Congress
12 has given us, how to actually interpret the '38
13 Act, and it does have a lot of interesting nuggets.

14 It is available electronically on the FDA
15 web site. If you jot those down or I could mention
16 them to you afterwards, there are some good places
17 to look, because the exact wording is pivotal to
18 industry. I know they really read those lines very
19 carefully, and we do, as well, and they have
20 enormous constraints ultimately on the information
21 set that comes into FDA, so they are not trivial.

22 ICH, I may not have mentioned that, I

1 think it is International Conference on
2 Harmonisation. It is Harmonisation with an "s" at
3 the end, so it's not totally harmonized with
4 American English, but it is Japan and Europe, and I
5 think Canada is involved, and it involved
6 academics, government regulators, and industry all
7 coming to the table and deciding what were some of
8 the common ways that everyone can look at it in
9 different geographic regions with the idea that
10 someday we might be able to have NDAs that are
11 submitted simultaneously in different countries, so
12 I think it is a positive sort of step, and I
13 apologize.

14 DR. CANTILENA: Dr. Ringel.

15 DR. RINGEL: I would say yes. Just one
16 further comment that if, in fact, we can't get an
17 active control group when doing these studies, I
18 would think that at the very least we should be
19 able to have some minimal standard of efficacy.
20 Perhaps that would be allowed by the regulations.
21 I think it's CFR 43.154.

22 [Laughter.]

1 DR. CANTILENA: I think you just quoted
2 the Drug Enforcement Agency, but I am not sure.

3 Dr. Lam.

4 DR. LAM: Yes for duration and frequency.

5 DR. CANTILENA: Dr. Patten.

6 DR. PATTEN: Yes for all three.

7 DR. CANTILENA: Dr. Wilkerson.

8 DR. WILKERSON: Yes.

9 DR. CANTILENA: For all three?

10 DR. WILKERSON: For all three.

11 DR. CANTILENA: Dr. Raimer.

12 DR. RAIMER: I wouldn't care too much
13 about concentrations as long as we had good,
14 reliable MICs. I think dosing duration is very
15 important. Dosing frequencies, I agree, it is
16 probably going to be once or twice a day unless the
17 company wanted to go for something like once a week
18 for a month or thought they had something they
19 could market. I don't think it matters whether you
20 put it on once or twice a day to most patients.

21 DR. CANTILENA: Dr. Epps.

22 DR. EPPS: Yes for all three, and I guess

1 as a point of information, and thanks to CDER,
2 under Tab 6 are some of the CFR and definitions.

3 DR. CANTILENA: Dr. Clapp.

4 DR. CLAPP: Yes for all, but my concern is
5 about standardization of efficacy.

6 DR. CANTILENA: Dr. Benowitz.

7 DR. BENOWITZ: Yes for all three, but I
8 would just emphasize that the concentration issue,
9 which many members felt did not have to be tested,
10 I think in theory, that could be defended, but I am
11 not convinced that the data presented to date
12 adequately defend not testing different
13 concentrations.

14 I think it is possible, but I don't think
15 it is done, and I think it is the obligation of a
16 sponsor to do that before we accept not testing
17 different doses.

18 DR. CANTILENA: Thank you.

19 Ms. Knudson.

20 MS. KNUDSON: I will say yes to all three,
21 and I would like to say that as a consumer, I would
22 certainly like to see comparative trials done, and

1 I don't care whether a tube of this medication only
2 cost \$8.00, to some people, that is really a very
3 important figure.

4 DR. CANTILENA: Thank you.

5 My vote is yes to all three. I think
6 exposure response is important, and it just
7 improves the overall use of the product.

8 The tally, please?

9 LCDR SPELL-LESANE: To Question No. 2, 19
10 yes, all yes, no "no."

11 DR. CANTILENA: Thank you.

12 I think really what I would like to do is
13 you didn't ask us a question per se for clinical
14 efficacy, lowest acceptable rate of cure, so what I
15 would like to do is just open this for discussion
16 and see if we can drive toward a consensus. If
17 not, then, we can do a vote on this, but this would
18 be Item No. 1, where we are looking for the lowest
19 acceptable rate of cure, clinically meaningful, for
20 a topical OTC drug product for the treatment of
21 tinea pedis, using the complete clinical and
22 mycological clearance as definition of "cure."

1 Really, what is the lowest that you would
2 be comfortable with for a cure rate, you have
3 something that is effective.

4 I will just open it up for discussion.

5 Dr. Lam.

6 DR. LAM: Are we lumping 1-week regimen
7 and 4-week regimen together into our consideration
8 and deliberation?

9 DR. CANTILENA: I was, but we will ask Dr.
10 Ganley or Dr. Wilkin, can we lump the 1- and the
11 4-week for acceptable cure rate? Are you looking
12 for a cure rate irregardless of duration of
13 treatment? Yes, okay, so we are lumping.

14 So, what is a number that meets your
15 definition of an acceptable cure rate in this
16 setting? Dr. Wood.

17 DR. WOOD: I am not going to give you a
18 number, but let me raise an issue that came up from
19 the homework.

20 It seems to me that if you are a consumer
21 and you are about to make the decision as to
22 whether you should buy a product to treat some

1 disease, you want to know what the likelihood that
2 you are going to respond is, but you also want to
3 know what other options are out there.

4 Now, in an Rx situation, you expect your
5 physician to do that, you expect your physician to
6 look at you, make the diagnosis, and decide what
7 the optimal therapy is.

8 In an over-the-counter situation, it seems
9 to me that the consumer ought to have information
10 on the cure rates which are substantially higher,
11 and with systemic therapy that are available with
12 these.

13 I think that should be in the package
14 labeling, because that seems to me a critical piece
15 of information that people ought to know.

16 Somebody said a minute ago, you know, if
17 you are buying \$8.00 tubes of something, and you
18 are getting nowhere, for many patients, the
19 decision to go to a dermatologist would be a huge
20 decision for them, and their loins would be girded
21 if they knew that there was a likelihood that the
22 doctor could provide the therapy that would be more

1 effective.

2 DR. CANTILENA: Dr. Bisno.

3 DR. BISNO: Again, i want to make sure
4 that I understand what we are discussing here. Are
5 we discussing the complete cure for which the
6 general data are about 20 percent, because it says
7 "complete clinical and mycologic cure," so you are
8 asking what is the lowest acceptable rate for
9 complete cure in which the general data are about
10 20 percent?

11 DR. CANTILENA: I think that is what they
12 are asking, yes.

13 DR. BISNO: I am a little bit blown away
14 by that, because the only colleagues I have that
15 think that 20 percent is a great rate are my
16 oncologic colleagues, who really have to deal with
17 some very, very life-threatening infections.

18 If the best we can do is 20 percent, I am
19 not sure that we should be sitting around here in
20 this room, I am not sure what we are accomplishing.
21 My personal view, and again I am reluctant to
22 express this, since I don't have the requisite

1 dermatologic expertise, but I do see a lot of
2 inflammatory conditions and I know that after you
3 have cured the infection, and after you have
4 eradicated the organism, it takes a long time for
5 the physical manifestations of inflammation to go
6 away.

7 Therefore, I wonder, you know, if somebody
8 came up with something that was a combination of
9 terbinafine and then used the last week, steroids,
10 that he might really get a much higher clinical
11 cure rate because would inflammation would subside
12 and it would look great, but I am not sure it is
13 something we would want to be doing on a routine
14 basis, maybe we would.

15 Anyway, I just have difficulty with
16 setting a lowest acceptable range for complete cure
17 when we know that the bar right now is set at only
18 20 percent, and is it possible that we can talk
19 about lowest acceptable cure rate for mycologically
20 and symptomatically improved, or is that not an
21 acceptable thing to be discussing?

22 DR. CANTILENA: I will ask Dr. Wilkin

1 because it's advice for him and Dr. Ganley.

2 DR. WILKIN: I think it can be approached
3 in multiple ways. The truth is that we do not know
4 which patients who are effective treatment meaning
5 KOH-negative and culture-negative, but having a
6 1-plus erythema or 1-plus scale, or maybe both of
7 those, how many of those really that scale and
8 erythema is due to residual tinea and how much is
9 due to some other condition, maybe just inadequate
10 epidermal turnover.

11 Our thought was that while it may be
12 conservative, and I think it is conservative,
13 looking at the complete cure population, it still
14 may not be complete cure, because there may be some
15 KOH's that they couldn't find it, and some cultures
16 that they couldn't find it, so people still get
17 into the complete cure, and still have--I mean
18 these are all very imperfect ways of looking at it,
19 but I think Dr. Bisno makes an important point.
20 You probably wouldn't want to be going for 100
21 percent.

22 I think that is the essential point, is

1 100 percent is not the target, because it is
2 probably going to be hard to get there. Another
3 example of what you are describing is if you look 3
4 weeks after treating a pneumonia, at the chest
5 x-ray, you would still see, you know, maybe what it
6 looked like at the beginning.

7 So, I think that is the way it is with the
8 foot, the epidermis is not going to turn over, but
9 the idea of the complete cure at least is something
10 that is--it is somewhat artificial, but it is a
11 very clear endpoint.

12 DR. BISNO: First of all, just finding
13 mycologic elements, again, I mean the clinical
14 situation may have been completely resolved, but,
15 you know, fungi are universal and it may be
16 difficult to completely eradicate even if you have
17 eradicated a clinical--but I am getting beyond my
18 own area of expertise by far.

19 But what I am saying is if we set 25
20 percent or 30 percent as an acceptable cure rate,
21 then, we don't have anything on the market right
22 now that meets our executive cure rate, is that

1 correct?

2 DR. WILKIN: Well, you have Dr. Fritsch's
3 example. What page is that on?

4 DR. CANTILENA: It's Tab 4, page 2, for
5 the complete cure rates.

6 DR. WILKIN: The complete cure at the
7 time. This is now, again, Week 6 to 9, so in 6
8 weeks it has allowed for substantial epidermal
9 turnover. This is like waiting for many months
10 before you get the chest x-ray.

11 DR. WHITMORE: I have a question. This
12 addresses labeling, and not what we are saying the
13 FDA should be approving, right?

14 DR. CANTILENA: I think actually we have
15 on sort of the next category, we will be talking
16 about the labeling. Here, I think they are asking
17 for really, you know, in terms of a complete cure
18 rate, what is something that is meaningful from our
19 standpoint for an approvable drug.

20 So, like if you had a new drug under
21 development, what would you like to see, and I
22 think the ideas earlier about the active control

1 are important.

2 I think that sort of gives you a
3 reference, you can have vehicle only and an active
4 control, and that really sort of tells you exactly
5 where you are, but are you able to ask for those,
6 active control, and placebo, is that something that
7 is feasible, or is that advice that really couldn't
8 be followed?

9 DR. WILKIN: Again, if it's for an
10 indication that is not life-threatening or severe
11 debilitating, typically, it's against the vehicle
12 or the placebo, and not against an active. I mean
13 that just has been the standard.

14 I think I missed a response to one of Dr.
15 Bisno's points, and that is, you know, what should
16 the committee be looking at. It would be I think
17 acceptable to look at maybe something that is less
18 conservative, the effective treatment, realizing
19 that some of the people who have effective
20 treatment are clearly going to have some fungus
21 remaining.

22 None of these are perfect ways of looking

1 at it, but if the committee felt more comfortable
2 characterizing the endpoint, the lowest acceptable
3 in terms of effective treatment, we would be happy
4 to hear it either way.

5 DR. BISNO: Well, I would certainly bow to
6 the expertise of my dermatologic colleagues on this
7 one. I am out of my depth.

8 DR. CANTILENA: We actually have a list
9 going here. We have Epps, Katz, Fincham, Wood, and
10 Davidoff.

11 Dr. Epps.

12 DR. EPPS: I guess speaking clinically,
13 the patients, we don't see the ones who do well,
14 the ones who go to the drugstore, they get the
15 cream, they are treated, and it works well. The
16 ones who are referred to me, and when we say
17 "fail," I mean it looks like baseline. It is still
18 raw, it is still macerated, they are still
19 fissuring, it is not like it is just a little pink,
20 otherwise, they wouldn't come to the dermatologist.

21 If it is getting better with the
22 over-the-counter, they keep using it, oh, it's

1 getting better, I am just going to keep going. How
2 long that is varies by the patient.
3 Percentagewise, I tell a lot of people it's zero or
4 100, it works or it doesn't. I mean ideally,
5 across the board, I guess I tend to be tough, like
6 I would like 75, 80 percent, I mean we want it to
7 work, that is what I would call most.

8 I don't think "most" is equivalent to
9 better, because we are not comparing drug to drug,
10 but certainly it should be better than vehicle, it
11 should be better than placebo, obviously, that is
12 proven.

13 As far as culturing, by the time we get to
14 the subspecialist, you know, they are partially
15 treated, it's not very useful, you may still get a
16 KOH, sometimes that can be helpful, but a lot of
17 the ones that don't work tend to be weeded out.

18 I mean you use it three or four times, it
19 is not working, you just don't use that drug
20 anymore, and fortunately, we have a lot of options,
21 but a lot of the patients, if you ask them--and I
22 guess that goes to reporting--what was the cream

1 that you used before, well, it was white, and
2 that's all you can get. They don't remember the
3 name, they don't remember, you know, well, it was
4 my friend's, you know, you get a lot of answers, so
5 that clearly affects the reporting of failures, as
6 well.

7 DR. CANTILENA: Dr. Katz.

8 DR. KATZ: Well, the question is what
9 should we accept as the lowest acceptable rate.
10 Now, it was my understanding from a previous
11 meeting at the FDA that the FDA approves medication
12 if the safety profile was such that it shows some
13 effectiveness, even the slightest statistically
14 significant effectiveness in the face of pretty
15 complete safety.

16 If that is the case, and you can correct
17 me, Dr. Wilkin, if that is not correct, that is why
18 things like Penlac, which is almost tantamount to
19 useless, but it is completely safe, and it did show
20 a slight, after a year, 15 percent of people got
21 better, so that is why it was approved. I wasn't
22 involved in that. So that was my understanding.

1 Now, if that is the case, then, the answer
2 to this question should be the lowest acceptable
3 rate in the face of pretty complete safety, should
4 be good effect over placebo even if it's 20
5 percent.

6 That, seeming somewhat ludicrous, we get
7 into the situation, which we are not answering the
8 question on labeling now, but in answer to Dr.
9 Wood's comment, I don't know that we have to set a
10 certain percentage here if there is truth in
11 labeling.

12 That is going to be another issue, but
13 rather than arbitrarily set the lowest acceptable
14 rate, if something is on the label that says 15
15 percent of patients can expect complete clearing,
16 but that 50 percent of patients can expect
17 significant improvement, that tells the consumer
18 quite a bit.

19 I know you don't want to get into
20 labeling, but that would be my argument against
21 setting a lowest acceptable rate, if my feeling of
22 the charge at the FDA is correct.

1 DR. CANTILENA: Yes, Dr. Bull.

2 DR. BULL: I would like to bring your
3 attention to the other part of that statement,
4 which is that the lowest acceptable rate of cure
5 that is clinically meaningful, and I think
6 certainly we want to be in the business on behalf
7 of public health of approving drugs that provide
8 something clinically meaningful for the patients,
9 who are either prescribed a drug or the ones for
10 over-the-counter products, purchase them on the
11 basis of self-diagnosis and self-management.

12 So, I think it is very important to attend
13 to the qualifier that is part of this Question 1,
14 which is what is clinically meaningful, and that
15 what we want your input on is to attend to what is
16 the lowest acceptable rate that addresses this
17 concern of what is clinically meaningful and
18 patients having a reasonable expectation of having
19 a positive effect, a benefit relative to the risk
20 for their condition.

21 DR. KATZ: Then, perhaps on the basis of
22 that and Dr. Bisno's comments, this question should

1 be altered, rather than saying our definition of
2 cure, rather that we should use the definition of
3 effectiveness, which means significant clinical
4 improvement and mycologic cure. Maybe the question
5 should be changed.

6 DR. CANTILENA: As we go around, you can
7 qualify your answer in that way. I think that is
8 perfectly reasonable.

9 Dr. Fincham.

10 DR. FINCHAM: I guess just looking at this
11 from a consumers' perspective, if you have
12 something on the label that says "cures most," I
13 think it would behoove everybody involved, and
14 certainly people are making excellent points about
15 definitions and rates and whatnot, but I think we
16 need to be specific or suggest that it be specific
17 as far as what those words mean, so the consumer
18 can make an informed decision in order to be
19 empowered about his or her health.

20 To get to what Dr. Epps said, you know, we
21 saw slide, several times curiously, from different
22 people, about presentation of interdigital tinea

1 pedis, and it looked one way on the screen, but if
2 you look at the photo, page 4 of the Novartis
3 handout, I would doubt that this patient just
4 appeared at the pharmacy to get this fixed.

5 I mean I would say that there are some
6 serious involvement here. It looks like there is
7 some nail involvement. What I am trying to say is
8 a person like this or a person with a less severe
9 case of tinea pedis should have an informed ability
10 to empower themselves to make a decision based upon
11 what the labeling says relative to "cures most,"
12 what does cure mean, what does most mean.

13 Again, we are back to semantics. We are
14 back probably to 3(b), but it is hard to separate
15 these out and look at them one question at a time,
16 and I just think that the more specific that you
17 can be, the better it is going to be for the most
18 important person in this whole equation. It is not
19 us in the room, it's the patient that is going to
20 use it or try to use it to get better.

21 DR. CANTILENA: Dr. Wood.

22 DR. WOOD: Well, I am a great believer in

1 letting the marketplace shake these things out. I
2 mean I think the way to handle this is to have
3 people put on the label the efficacy found from
4 that product, defined in whatever common way you
5 want to define that, and that should have on the
6 label and the comparison that can be achieved from
7 an Rx product.

8 The reason I like that is going back to
9 the comment that was just made, is what is the
10 minimally clinically significant effect is a moving
11 target. You know, the minimally clinically
12 significant effect for a diuretic was different
13 when it was a mercurial diuretic from when
14 furosemide came along.

15 So, as therapy improves, what is minimally
16 acceptable, and hopefully improves with it,
17 minimally acceptable, to me, seems different today
18 with systemic and the drugs that have been given
19 systemically produce 70 percent cure in patients
20 who have been defined as resistant to treatment.

21 So, I agree with what was just said by
22 Jack, I mean I think the consumer should know what

1 they are likely to see with the efficacy, and that
2 when Dr. Whitmore, or whoever it was, goes into the
3 pharmacy and picks up these packets and looks at
4 them, he should be able to see the different
5 response rates for the different products, and have
6 some concept of how that fits with alternatives.

7 DR. DAVIDOFF: I want to be sure I am
8 clear on exactly why this question is being asked.
9 I mean I am assuming that it is being asked because
10 the Agency is trying to decide whether they should
11 set a threshold level before a drug is approved.
12 Am I correct? That makes some difference as to the
13 way I would answer the question.

14 DR. CANTILENA: Dr. Wilkin, would you like
15 to answer?

16 DR. WILKIN: Again, I think there is a
17 marketing pressure for faster, and faster may mean
18 it still beats vehicle, but there may be a drop in
19 the efficacy whether you look at it as complete
20 cure or effective treatment.

21 We are wondering if there ought to be some
22 base rate below which even if you have a product

1 that has no major safety issues in the safety
2 profile, whether still there should be that
3 baseline.

4 DR. DAVIDOFF: So, it is an approval
5 decision question. My thoughts in that connection
6 are that if it is an approval question, it seems to
7 me it would be difficult to justify accepting a new
8 product unless the efficacy rate, whether it is
9 defined on the basis of complete cure or effective
10 cure, that is less than what is on the market.

11 That just seems reasonable to me. I
12 realize the marketplace maybe speaks otherwise, but
13 in terms of regulatory decisions, that seems
14 reasonable.

15 I also recognize, though, that there are
16 other approaches to making the decision. I mean
17 one would be to look at what is the absolute risk
18 reduction for other drugs or other classes that is
19 considered acceptable, sometimes expressed as
20 number needed to treat, and number needed to treats
21 in the range of 5, which is what I understand the
22 complete cure rate here would translate into, are

1 considered terrific. I mean a lot of drugs on the
2 market that have NNTs of 100 or whatever.

3 So, I think that you could take that
4 approach and say that at least it ought to be doing
5 as well as most other drugs in terms of NNT, and it
6 clearly would be, I think.

7 The final thought in connection with
8 rationale would be that I agree with Alastair Wood
9 that it is hard to give a single answer, because a
10 lot depends on the seriousness of the underlying
11 condition.

12 I think you would accept efficacy of maybe
13 1 percent absolute risk reduction for something
14 that was a fatal disease. I mean I would be happy
15 to have a 1 percent chance of being protected or
16 cured if I was otherwise going to die, but if I
17 took the drug, I would have a small chance of
18 survival.

19 If it is a matter of clearing up a rash on
20 my feet, I might see it differently. I might be
21 interested in complete cure rates that were in a
22 different ballpark than maybe would have to be

1 higher--

2 DR. CANTILENA: But that is the question,
3 Frank, is higher.

4 DR. DAVIDOFF: Yes, I understand, and I
5 think that that is a reasonable way to go if the
6 condition that you are treating is less than fatal.

7 DR. CANTILENA: We have Dr. Ringel.

8 DR. RINGEL: I have two comments. The
9 first one addresses what everyone is talking about
10 here. Basically, I kind of disagree. I think that
11 the FDA should use as a standard mycologic cure
12 rather than complete cure or even effective cure,
13 and the reasons are threefold.

14 First, because at the very least, I think
15 you should be able to say that you should kill the
16 fungus to an optimal level. Whether or not the
17 person gets better, I don't know, but at the very
18 least, we should be able to say that the fungus
19 dies. That is number one.

20 The second is that perhaps it is not quite
21 as straightforward as complete cure, but it is
22 certainly more straightforward than effective cure.

1 At least you can measure it, you could do a KOH,
2 you could do a culture. I know they are not
3 perfect, but it's doable.

4 The third reason is that I don't know any
5 other antimicrobial agent that is being held to the
6 standards that we are holding tinea pedis. When I
7 treat a patient for scabies, the scabies' mites
8 will be dead the next day or two days perhaps, but
9 I tell the patient he is going to itch for another
10 two weeks, and I don't call that ineffective
11 treatment.

12 When someone has pneumonia, they cough for
13 another month. That doesn't mean that they still
14 have pneumococcus. When they have meningitis, they
15 are going to feel lousy for the next two months.
16 It doesn't mean that their CNS is still infected.

17 I think that for those reasons, mycologic
18 cure is actually the better standard here, and I am
19 going to go a little bit out on the limb.

20 I would say that if we are going to choose
21 an efficacy level, I would choose that of the first
22 modern antifungal, which at least in my mind has

1 always been miconazole, and that is very
2 subjective, I realize, but, you know, trained when
3 I did, I thought of the old antifungals and the new
4 antifungals.

5 I have always thought of the new
6 antifungals as starting with miconazole, so I would
7 say that the efficacy for mycologic cure should be
8 at least that of miconazole, and I supposed we
9 could look that up. I know it is arbitrary, but
10 that is what I would do. That is the first
11 comment.

12 The second comment is I guess this is
13 addressing Dr. Wood about whether or not we need to
14 compare topical antifungals to oral antifungals,
15 and I would I think argue against that for two
16 reasons.

17 First of all, I think most normal
18 consumers would assume that over-the-counter
19 medications are not as effective as prescription
20 medications. It may or may not be true, but I
21 think that is what people assume.

22 For example, if they get an

1 over-the-counter antitopical, antibiotic that is
2 not helping, they will go to their doctor and say,
3 gee, that didn't help, what else do you have,
4 assuming the doctor is going to have something that
5 is stronger and better.

6 The other issue is that I think that you
7 can't assume that the safety of a topical
8 antibiotic is going to be the same as the safety of
9 a systemic antibiotic.

10 I don't think that people should assume,
11 well, I can just go the doctor and do better,
12 because the systemic antibiotics have black box
13 warnings for liver toxicity, for congestive heart
14 failure, and also have significant hematologic
15 toxicity, so I really don't want my patients going
16 to the drugstore and say, hey, well, I can just get
17 Sporonox.

18 I don't think that is good. I would
19 rather have them approach it the way I think most
20 people do, when you are first looking at
21 over-the-counter, if that doesn't help, then, going
22 to their physician for a prescription.

1 DR. CANTILENA: Dr. Schmidt.

2 DR. SCHMIDT: Looking at the data that has
3 been presented today and thinking about skin
4 diseases just in general, when I have patients come
5 in with a lot of these skin diseases, like acne, I
6 usually consider a good improvement, say, for acne,
7 at about 20 percent per month, and usually, someone
8 after about 3 months, I like to see about, say, 75
9 to 85 percent clearing.

10 To me, I think these antifungal agents are
11 much more effective than some of the medications
12 that we use for acne, so in looking at these
13 graphs, I would say that what I would put down for
14 this, if you want some hard data, is between 20 and
15 30 percent improvement in a week or two, and then I
16 think the bar should be about 75 percent after a
17 month for all these topical antifungals.

18 I think that, to me, you know, 75 percent,
19 that is a magic number in my mind, maybe more in
20 acne, eczema, but if you had to say from what you
21 see in these studies, you know, with the 20 to 30
22 percent, and then it bounces up with some of the

1 others, that that is the bar that I would say if we
2 are looking for numbers.

3 DR. BISNO: Do you mean symptomatic in
4 mycologic, but not complete?

5 DR. SCHMIDT: Yes.

6 DR. CANTILENA: Dr. Ghannoum.

7 DR. GHANNOUM: I think the way, let's say,
8 we look at other antifungals, like it was suggested
9 it would be good to have a comparator,
10 non-inferiority sort of cases. We don't have that
11 because we don't have gold standard.

12 But I think we have what is available in
13 the market, and I think that should be for complete
14 cure, and if say like "assume," it is
15 non-inferiority, it should be between 20 to 30
16 percent.

17 If we go into the effective cure, even if
18 you look at the oral stuff, let's say Lamisil
19 compared to Sporonox when they are compared for
20 onychomycosis, it was 65 percent sort of cure, so I
21 think that is reasonable for the effective cure.

22 DR. CANTILENA: Thank you.

1 Dr. Wood.

2 DR. WOOD: I think we should answer this
3 question the way we are doing it. I think I
4 understand now what Dr. Wilkin is asking us, and it
5 seems to me that the question we are being asked is
6 how we evaluate products, and everybody rushing to
7 the bottom, and that everybody moves to the bottom
8 of the pack and finds the lowest dose that they can
9 give for the shortest period of time, anything that
10 beats placebo.

11 Now, I think we answered that already, and
12 I think we said that we should see exposure
13 ranging, and I think you should only approve drugs,
14 assuming they have no toxicity, that are given at
15 the exposure that produces the maximum effect.

16 That gets you off the hook for the rush to
17 the bottom, which is what I am hearing here, and
18 having said that, maybe surprisingly, I am very
19 opposed to introducing new hurdles that drugs have
20 to leap to get approved.

21 So, the hurdle is you beat placebo right
22 now, and the idea that we are going to sit around

1 here and kind of chew on our thumbs and come up
2 with some arbitrary number that you have to beat to
3 get approved is very disturbing to me, because that
4 creates all kinds of issues for other drugs, as
5 well, you know, what is it in heart failure, what
6 is it in Alzheimer's, for God's sake, I mean the
7 effects there are pretty trivial for most of the
8 drugs on the market.

9 So, I think the answer to your problem is
10 I am telling you that we should look at exposure
11 ranging. I would expect that you would only
12 approve a drug, assuming the drug has minimal
13 toxicity, as these topical agents do, that you
14 would approve the drug at the dose or exposure of
15 the drug that produces the drug's maximum effect.

16 As a consumer, that is what I would think
17 when I was standing in the drugstore. If you do
18 that, then, you are not in this position of this
19 rush to the bottom, because I think that is a very
20 dangerous step to get into.

21 DR. CANTILENA: But how about if the drug
22 overall is not very effective, it has just barely

1 beaten placebo?

2 DR. WOOD: Well, if the drug overall is
3 not very effective, I think we should tell people
4 what the efficacy is, and I think if you are
5 standing in the drugstore and you have got three
6 packets in front of you, and one says this is 20
7 percent effective, one says this is 60 percent
8 effective, and one says it is something else, let
9 people choose.

10 I mean if they like the prettier packet
11 that's 20 percent effective or maybe it will be
12 cheaper.

13 DR. CANTILENA: Dr. Epps.

14 DR. EPPS: Brief comments. Certainly when
15 there is a discussion about the movement to
16 decrease the duration, certainly, if we were
17 talking about antibiotics, we would be certainly
18 concerned about resistance. Does it apply to
19 antifungals? I don't know.

20 Although tinea pedis may not be life or
21 death, certainly, there are quality of life issues.
22 I have patients who can't walk, they can't go to

1 work, they can't go to school because it is so
2 severe. So, I certainly don't think it is trivial,
3 but it is certainly not fatal and certainly, to
4 piggyback on what Ms. Knudson said, I think if we
5 are going to move to approve drugs or have
6 antifungals approved, they should work.

7 Certainly, whether it's \$8.00 or \$15.00,
8 or whatever the co-pay is, there should be some
9 reasonable expectation that they are going to
10 benefit from it.

11 DR. CANTILENA: Thank you.

12 I think what I would like to do is for
13 anyone who has not expressed their opinion on this,
14 I will give you an opportunity yet to do so, but I
15 think we have heard from probably 90 percent, which
16 is clinically significant, I believe, by anyone's
17 definition.

18 Is there anyone else who would like to add
19 to the discussion?

20 DR. TEN HAVE: Could we hear from the
21 consumer representatives on what the consumers
22 would think?

1 DR. CANTILENA: Good. We have Dr. Patten
2 and Ms. Knudson.

3 MS. KNUDSON: I have an unrealistic
4 expectation that we should have 100 percent
5 effectiveness on anything that is on a shelf. I
6 realize that is unrealistic. However, I do want to
7 see some comparisons between anything that is on
8 the shelf as to what the effectiveness rate is for
9 most people.

10 DR. CANTILENA: Are you able to do that in
11 terms of the current regulations, can you put
12 efficacy rates, comparison to like other things
13 that are generic?

14 DR. GANLEY: You wouldn't necessarily
15 compare something else. You could be able to put
16 in cure rates or efficacy rates. The company who
17 does want to do two comparative studies, comparing
18 it to another regimen of another drug, and show
19 that they are better than them, they could achieve
20 labeling by doing that.

21 I think that we would have to talk about
22 it internally, there is some difficulties, because

1 we don't have, particularly for the monograph
2 products, we don't have the consistent types of
3 efficacy endpoints that we have for the NDA
4 products, at least the most recent approvals.

5 DR. TEN HAVE: Then, how can you set a
6 standard?

7 DR. GANLEY: We would have to go back and
8 look at the data that was collected. Nothing is
9 perfect here.

10 DR. TEN HAVE: In terms of defining the
11 minimum rate, if the efficacy definitions differ
12 across studies--

13 DR. GANLEY: Well, that is the potential
14 down side, and that goes back to what Dr. Fritsch
15 had said, is that in some of these studies, they
16 may have included patients with onychomycosis, for
17 example, which may lead to a lower efficacy rate.

18 So, it is like comparing apples and
19 oranges in some cases. But it may be that you
20 create categories of efficacy or something, I don't
21 know, I think we would have to talk about it.

22 DR. TEN HAVE: So, is the implication,

1 though, that we need different thresholds,
2 different minimum acceptable thresholds for
3 different categories for these different
4 definitions?

5 DR. GANLEY: No, I don't think so, but I
6 think that it is what we do with the products we
7 have now and what we decide we are going to do for
8 the future, so I think that is where we have to
9 really start from, and then set the standard of
10 what we would like to do for the future and see
11 what we can do for the other products that are on
12 the market right now.

13 Nothing is going to be perfect here,
14 because of that.

15 DR. CANTILENA: I think we also want to
16 hear from Dr. Patten.

17 DR. PATTEN: I am going to agree with Dr.
18 Ringel. I would say that, at a minimum, there
19 needs to be negative mycology. If anything comes
20 in without that, then, it seems to me it's an
21 application for something that will manage the
22 condition or decrease severity of symptoms, and the

1 concept of cure would be inappropriate.

2 My understanding of this is that this is
3 the agent that causes the condition. If the agent
4 is still there, the condition may recur, will
5 recur.

6 DR. CANTILENA: Thank you.

7 Let's move to the last broad topic area,
8 which has to do with the label. Actually, I would
9 like to start with the safety issue, which is
10 Question 6, and then we will do Question 3, (a) and
11 (b).

12 I think what I heard this morning, and I
13 apologize, Dr Bisno, if I didn't hear you
14 correctly, but that with regard to safety, it
15 really didn't seem to be a large problem, and from
16 the AERS database, it doesn't show up in terms of
17 cellulitis.

18 So, I think what the Question 6(a)
19 basically talks about subpopulations, which I would
20 like to hear from everyone. I am sure we all have
21 an opinion on if there are specific subpopulations,
22 because if so, then, we will sort of meld that into

1 the labeling.

2 I will open it up for general comments and
3 talk about the risk of secondary infections, and
4 then if there are any subpopulations who are at
5 higher risk, and, if so, should that be in the
6 label.

7 Yes, Dr. Bisno.

8 DR. BISNO: If I may say, I think the
9 study that needs to be done, probably should be
10 done, is to look at patients who have cellulitis
11 and particularly those who have recurrent
12 cellulitis, and to grade them on two different
13 factors.

14 One is the severity of their tinea pedis,
15 and the other is their coexistent comorbidities. I
16 think if that study were ever done, we would see
17 that there is probably a small subsegment of people
18 with severe tinea and comorbidities who are
19 probably at much risk of cellulitis and that the
20 general population is not.

21 Lacking those data, my suggestion would
22 be, what I had in my last slide, although I would

1 expand it just a little, I said tinea pedis is only
2 one of a number of risk factors for the development
3 of lower extremity cellulitis, but it is one of the
4 most modifiable of such factors.

5 The committee might wish to add a caution
6 about the importance of eradication of tinea pedis
7 in patients with such risk factors as lymphedema,
8 venous insufficiency, edema of the legs, marked
9 obesity, saphenous venectomy for coronary artery
10 bypass graft, or previous episodes of cellulitis.

11 It might be worthwhile to add
12 immunosuppression there, too, immunosuppressed
13 patients, and although the data on diabetes are not
14 clear-cut, I wouldn't object if you wanted to add
15 diabetes, too.

16 That would be my suggestion, to just have
17 something on there that says that tinea pedis is a
18 risk factor for cellulitis particularly in this
19 group of patients, and they should take particular
20 care to eradicate their condition.

21 DR. CANTILENA: Thank you.

22 Other comments? Dr. Benowitz.

1 DR. BENOWITZ: I think we need a
2 clarification of what the issue is here. One
3 issue, if tinea infection predisposes to
4 cellulitis, then, we should treat them.

5 The question would be if you are at high
6 risk of cellulitis, should you use oral instead of
7 topical, because it is more effective. I mean that
8 would be one question.

9 Or is there a concern that if you have
10 cellulitis, you are not being treated for
11 cellulitis adequately, because you think it's
12 tinea, and you are being treated for that instead.

13 So, those are the problems, but I am not
14 sure what the intent is of special labeling. We
15 should effectively treat someone if they are
16 infected, right?

17 So, my question is what is the purpose of
18 the special label?

19 DR. BISNO: The question that was raised
20 by the committee and to me specifically is what
21 recommendation I could make regarding the issue of
22 cellulitis and tinea pedis, and my feeling was that

1 there are certain subgroups of people who are at
2 higher risk, and that it would be prudent and
3 feasible to identify those.

4 We are not telling anybody not to treat
5 tinea pedis, but we also know tinea pedis is
6 extremely common and in many cases, go untreated
7 unless they are highly symptomatic.

8 DR. BENOWITZ: I understand that, but in
9 terms of the labeling for a product to treat tinea,
10 the question is if you have this, make sure you
11 take this product?

12 DR. WHITMORE: I think this is not a
13 consumer information product. I think this is a
14 physician education product, and those patients who
15 do have these risk factors are being seen by a
16 physician, so it actually is the responsibility of
17 the physician to look for tinea pedis.

18 You can bet that the majority of the
19 people with those predisposing factors probably
20 have fungus on their feet, too.

21 DR. CANTILENA: Dr. Alfano.

22 DR. ALFANO: I am concerned about changing

1 the label for a potential effect that has occurred
2 at such a low, ultra-low rate. I mean we learned
3 this morning that we are really now talking about
4 hundreds of million of doses, of treatments, and we
5 have 13 cases reported, and as Dr. Bisno pointed
6 out this morning when he reviewed those cases, most
7 of them didn't seem to him to be cellulitis in any
8 case, they seemed to be allergic reactions.

9 We have seen the industry propose to add
10 some labeling that would encourage people to seek
11 the advice of a physician if the condition worsens
12 or changes in any way, which would be sort of the
13 preamble to cellulitis, so there already is sort of
14 a--I don't think we need a fix--but there already
15 is I think a good one that has been proposed.

16 I am very concerned that as we make the
17 label more complicated, it becomes less
18 understandable and more intimidating, and we could
19 actually be discouraging people from using this
20 product, and it could have the unintended effect of
21 decreasing use and increasing risk.

22 So, in the absence of any consumer

1 comprehension studies, label comprehension studies,
2 I just wouldn't go near this particular one for
3 such a seemingly inconsequential risk.

4 DR. CANTILENA: Dr. Schmidt.

5 DR. SCHMIDT: We are seeing more cardiac
6 surgery and we are seeing a lot more stasis
7 dermatitis, and a lot more lipodermatosclerosis,
8 and I think even though these cellulitises of the
9 lower extremities, I don't think they are rare, and
10 I think that the cases that they presented were not
11 cellulitis, they were contact dermatitis, but I
12 would be interested to hear from the consumer reps
13 because, to me, this does sound like a good idea,
14 you know, we are doing something for these people.

15 A lot of these people just kind of bang
16 around and then they finally come in with problems,
17 and, to me, to have this on the labeling where
18 someone comes in and they have a fungus, and they
19 read this and they say wait a minute, I do have--I
20 am wearing my support hose and I did have, you
21 know, they have harvested my veins and now my legs
22 are messed up, and I am going to start putting this

1 on, because it is a treatment that we can do
2 something about it, whereas, this other stuff, it
3 just happens.

4 So, I vote for this.

5 DR. CANTILENA: Dr. Wood.

6 DR. WOOD: I want to agree with what Neal
7 and Dr. Alfano said. We can bog the label down
8 with so much stuff, and I don't understand the
9 problem we are addressing here. There is no
10 evidence that these drugs produce cellulitis. This
11 is not hepatic toxicity or some side effect we are
12 trying to identify and tell people to go their
13 doctor about.

14 These people are also at risk for having a
15 heart attack. Why don't we put in the label, you
16 know, if you get chest pain, be sure to go to see
17 your doctor. These people are in danger of having
18 a DVT, if you get calf pain, go and see your doctor
19 and particularly if you get short of breath. I mean
20 there is no end to this.

21 Unless there is some association between
22 the drug and some adverse outcome, and that

1 informing the consumer will help prevent that
2 adverse outcome, I don't think we should burden the
3 label with a bunch of stuff, that I don't see that
4 it helps them very much.

5 Maybe I am missing something here, but I
6 have not heard some reason to believe that going to
7 your drugstore, buying an antifungal, it makes you
8 more at risk for cellulitis than you were five
9 minutes before you did that.

10 DR. CANTILENA: It is just the
11 complications if it is unsuccessfully treated, and
12 I think that is what we don't know.

13 Dr. Davidoff.

14 DR. DAVIDOFF: I understand the kind of
15 concerns that Alastair and others have had about
16 unduly complicating things. The problem I have is
17 not so much the concern of whether or not the drug
18 is causing the infection, and so on, which it
19 clearly isn't, the problem I have is that patients
20 who are not clear whether they have a beginning
21 cellulitis or tinea pedis, and if they have decided
22 that it's tinea pedis and start treating it, when,

1 in fact, it really was cellulitis, and the continue
2 treating it because they think if I wait 7 days or
3 4 weeks, or whatever, I am going to be okay, those
4 are the people I am concerned about.

5 So, I think that the notion of adding some
6 wording about if the condition worsens or if
7 irritation occurs, and so on, it is more than
8 reasonable, because of the issue of difficulty in
9 people's minds in distinguishing what is going on
10 in their foot, so that is my view on that
11 particular subquestion.

12 I had some comments on some of the other
13 subquestions, too. One of them was on this issue
14 of cure rate, because it seems to me that the
15 notion of adding data about cure rates for the
16 different products--

17 DR. CANTILENA: Actually, that is going to
18 be coming up.

19 DR. DAVIDOFF: I am sorry, okay, I will
20 save it.

21 DR. CANTILENA: Dr Bisno.

22 DR. BISNO: I would like to say, first of

1 all, that I am not advocating for this change. I
2 was asked to make a suggestion for the committee as
3 what could be done about this very difficult and
4 complex issue on which there is not a lot of data,
5 and this was the best suggestion I could come up
6 with. I am not advocating it one way or another.

7 But I would disagree, Dr. Wood, with your
8 analysis or your comparison with other kinds of
9 illnesses, because these are illnesses that are
10 distinct risks for these cases of cellulitis, and
11 some of these cases are severe and, at times, even
12 life-threatening, and they are directly related, at
13 least in part, not in total, but in part to the
14 tinea pedis.

15 What is missing is we don't have
16 tremendous great data to indicate--you said topical
17 or oral--we don't have tremendous data to indicate
18 that either of those would be actually effective in
19 preventing it. It is only an assumption that if we
20 are treating with something that is effective
21 against the t. pedis, that we are going to
22 therefore not be having a nidus for the bacteria,

1 and therefore we won't have the cellulitis, but
2 that is a lot of speculation based on no firm data.

3 So, anyway, just to conclude, I am not
4 advocating one way or another, I was asked to
5 suggest what could possibly be said about this, and
6 this is the best I could come up with.

7 DR. CANTILENA: We will have a comment
8 here from FDA, and then we will actually go around
9 the table with the vote.

10 DR. WILKIN: One of the things we learn in
11 advisory committees is how to construct questions
12 better. I think this has been expanded in a very
13 useful way. I mean I think we have heard about
14 some other areas other than what our original
15 intent was, but I thought I would just share with
16 you what the original intent was.

17 We did think that it would be helpful to
18 have Dr. Bisno's experience given his writings in
19 the literature, and, in part, we saw this paper by
20 Morton Schwartz, Cellulitis. It is the Clinical
21 Practice section in the New England Journal of
22 Medicine.

1 He goes on to say the things to do in the
2 management. You can imagine that the large part is
3 devoted to antimicrobial therapy, but then when you
4 get down under Ancillary Measures, he talks about
5 the local care.

6 The second sentence is, "Interdigital
7 dermatophytic infections should be treated with a
8 topical antifungal agent until they have been
9 cleared. Such lesions may provide ingress for
10 infecting bacteria"--and then he goes on.
11 "Observational data suggest that after the
12 successful treatment of such dermatophytic
13 infections, the subsequent prompt use of topical
14 antifungal agents at the earliest evidence of
15 recurrence or prophylaxis application once or twice
16 per week will reduce the risk of recurrences of
17 cellulitis."

18 That was the genesis of the question.

19 DR. CANTILENA: Would you have any
20 objection if we slightly modify this to say, "Would
21 you recommend that the current labeling be modified
22 for subpopulations at risk for secondary

1 infections, yes or no, and if yes, which ones would
2 you highlight?" Is that acceptable to you? Okay.

3 Is it a burning question, Dr. Benowitz?

4 DR. BENOWITZ: Yes, because there is still
5 two things that are totally different that I don't
6 understand what we are labeling.

7 One is a misdiagnosis question, so make
8 sure that you don't have cellulitis before you take
9 this stuff and it gets worse, and the second thing
10 is if you have a predisposition to this, you should
11 be treated more promptly. I don't see how either
12 one is really relevant to the label, or the first
13 one might be to diagnosis, but I still don't
14 understand what you really want out of the label.

15 DR. CANTILENA: In that case, then, your
16 answer would be no, because of those reasons, but
17 if you have subpopulations, if you are concerned
18 about the diabetics, for example, who would use the
19 product, and it would fail for them, they would
20 have a secondary infection that they still think is
21 the slowly healing fungus, then, that is another
22 issue.

1 DR. BENOWITZ: I guess I just need to know
2 what specific label are you talking about, is it a
3 diagnosis label or is it a treatment label? I just
4 don't understand what the label would be.

5 DR. BISNO: Are you addressing that to me?
6 The first issue that you raised was, let's see, it
7 has nothing to do with if you have cellulitis, you
8 should do something. That is not relevant.

9 The second issue is maybe it's not an
10 appropriate place to put on a label, I am not
11 saying it is, I am saying this is the best I can
12 come up with if you want to give a caution to
13 patients as part of the label as to what people are
14 at particular risk of getting cellulitis related to
15 t. pedis, and it may or may not be an appropriate
16 thing to go on a label, that is for the committee
17 to decide.

18 DR. CANTILENA: Would you like to vote yes
19 or no on should we modify the label for
20 subpopulations at risk for secondary infections
21 like cellulitis? That would be a yes/no.

22 DR. GANLEY: Lou, I would just interject

1 here. I think it is probably better just to get
2 comments from people, because there is a lot of
3 caveats that have been thrown into this, and I
4 think we can try to sort it out.

5 There is numerous ways to do things.
6 Clearly, if we thought, for example, that people
7 with lymphedema or venous insufficiency shouldn't
8 even be starting a therapy on their own, you could
9 include something in the label, such as ask a
10 doctor before use if you have these conditions.

11 If there is no data here to support that,
12 then, your answer would be no. Or you could decide
13 that maybe we should put some package insert in
14 there and include some information on some
15 conditions that if you are not getting better, you
16 should get better in this period of time, if you
17 are not getting better, you should see a doctor
18 immediately if you have these underlying
19 conditions, and I think that is what Dr. Bisno was
20 getting at.

21 I think there is a lot of ways to do it,
22 and I think it is probably more fruitful to have

1 just a discussion as what people's biases are.

2 DR. CANTILENA: Okay.

3 DR. BISNO: Let me just say that one thing
4 we wouldn't want to do is say go to your doctor
5 before starting to treat this. I mean we don't
6 want to discourage people with these risk factors
7 from starting self-treatment.

8 DR. WOOD: But you want to encourage them
9 surely.

10 DR. GANLEY: I think it comes out more in
11 a discussion than in a vote.

12 DR. CANTILENA: How about if we just start
13 over here, just go around the table and comment on
14 your thoughts on this.

15 We will start over here. Ms. Knudson.

16 MS. KNUDSON: It seems to me that if
17 diabetics are at higher risk for secondary
18 infection or those who are immunocompromised, and
19 certainly AIDS patients must get tinea pedis in
20 great numbers, but I would like to see something in
21 the label that indicates that if you have these
22 conditions and you are not responding to the drug,

1 please see your physician or we recommend that you
2 see your physician.

3 DR. CANTILENA: Dr. Benowitz.

4 DR. BENOWITZ: I would certainly agree
5 with that as a label, and I think what the
6 manufacturers suggested seemed like a reasonable
7 label. If you want to do just extra education
8 about people at risk of cellulitis, if there is
9 room on the label, that's fine.

10 DR. CANTILENA: Dr. Clapp.

11 DR. CLAPP: It seems like the addition of
12 a cautionary note on the outside of the box, that
13 states if this is not getting better or gets worse,
14 and give a specific time frame, that could be a
15 clear general warning to anyone, and therefore give
16 information that would make people stop and take
17 note without giving a tedious list that might not
18 be all-encompassing of the types of people who are
19 at increased risk for problems secondary to
20 cellulitis following or associated with the tinea
21 pedis infection.

22 DR. CANTILENA: Thank you.

1 Dr. Epps.

2 DR. EPPS: Perhaps on both ends, one, you
3 could say for uncomplicated cases of, you know,
4 tinea pedis, interdigital, that sort of thing, and
5 then follow with a sentence about if you X, Y, Z
6 conditions, you consider asking your doctor about
7 use.

8 DR. CANTILENA: Dr. Raimer.

9 DR. RAIMER: Logically, it does seem like
10 people with lymphedema and obesity, and that sort
11 of thing, certainly would have a higher risk of
12 getting cellulitis if they did have a nidus or
13 infection, so it seems logically like a reasonable
14 thing to do, but I don't know if we should actually
15 label, put things in labels when we don't have any
16 scientific proof at this point in time.

17 So, I would like to see it done just
18 because my opinion is that it is kind of logical
19 that it would happen, but maybe it's too early,
20 maybe we shouldn't do it without any real proof
21 that it is happening.

22 DR. CANTILENA: Thank you.

1 Dr. Wilkerson.

2 DR. WILKERSON: I like the labeling that
3 Novartis came up with. I think this is reasonable
4 for consumer packaging. I think if you put too
5 many things in there, list all these conditions, it
6 just causes confusion.

7 I agree with Dr. Raimer. Teleologically,
8 we believe that we are right about treating tinea
9 pedis, but as far as I know, no one has done a
10 large-scale study to show that if you put the stuff
11 on once a day, twice a week, whatever, that we
12 actually affect the outcome that we think we are
13 going to affect here.

14 I think keep it simple, and their wording
15 seems very good to me.

16 DR. CANTILENA: Dr. Patten.

17 DR. PATTEN: I would support indicating on
18 the label if condition does not improve, condition
19 worsens, or new symptoms develop, see your doctor.
20 I would not support naming specific conditions.

21 If there is evidence to support increased
22 risk of any kind coming from these conditions,

1 then, perhaps in insert, but not on the label.

2 DR. CANTILENA: Dr. Lam.

3 DR. LAM: I definitely agree with what Dr.
4 Patten said, to make it simple.

5 DR. CANTILENA: Dr. Ringel.

6 DR. RINGEL: I would recommend putting a
7 caution on the outside of the box, but simply have
8 it refer to the medication guide inside the box, in
9 other words, to say, you know, please see Caution
10 Section of package insert or whatever, and then in
11 the medication guide, then, have a discussion of
12 specific issues.

13 I would think things on the label should
14 have more to do with whether a person would buy the
15 product or not, does he need to know that
16 information at the time of purchase or does he not.
17 I would say no, you don't need it at the time of
18 purchase, you need to know it as you are using it.

19 So, I think it could go inside on the
20 medication guide.

21 There is another issue that perhaps should
22 be addressed, as well, having to do with diabetes,

1 not only as a risk factor possibly for cellulitis,
2 but also in terms of poor wound healing. People
3 with diabetes and peripheral vascular disease get
4 ulcers on their feet if they are scratching at them
5 all the time.

6 There are a number of issues that may come
7 up that you might want to reference, and you can't
8 possibly put all of that on the package.

9 DR. CANTILENA: Dr. Fincham.

10 DR. FINCHAM: I would vote to have the
11 packaging be as inclusive as possible, and
12 specifically because we are looking at a
13 self-diagnosis in many cases and relying on the
14 patient to make a decision without input from a
15 health professional, so I think it needs to be
16 inclusive.

17 I don't see this as any different than
18 some of the things that are on pseudoephedrine
19 labeling relative to hyperthyroidism, hypertension,
20 prostate disorders, et cetera. I think the more
21 inclusive you can be, you just give consumers a
22 better chance to be better informed.

1 DR. CANTILENA: Dr. Whitmore.

2 DR. WHITMORE: I think the proposed
3 packaging with back label information on the box by
4 Novartis is good, and I think that is adequate.

5 DR. CANTILENA: Dr. Davidoff.

6 DR. DAVIDOFF: I would tend to the more
7 conservative side, that is, trying to avoid
8 overcrowding an already crowded label, on top of
9 which I think it would kind of lead to endless
10 discussions about what conditions should be and
11 what shouldn't be on that complicating list.

12 I do like the notion of including
13 information about those conditions or whatever
14 subset in the package insert, however.

15 DR. CANTILENA: Dr. Schmidt.

16 DR. SCHMIDT: I agree with Dr. Raimer and
17 Dr. Davidoff. I think it sounds good, that it
18 makes sense to be inclusive with some of these
19 things, but it is going to crowd this package
20 insert, and until we really know just how many
21 times we have, you know, these cellulitises, I
22 think it probably is best left out.

1 I agree, I think that the package insert
2 that Novartis, they have pretty well covered
3 everything.

4 DR. CANTILENA: Dr. Katz.

5 DR. KATZ: I would say no to the
6 overinclusive listing of each subpopulation, and
7 just a general comment if you don't get better, see
8 your doctor, which I assume is on all the other
9 over-the-counter things, so one sentence would be
10 fine without listing all of the other
11 subpopulations.

12 DR. CANTILENA: Dr. Ghannoum.

13 DR. GHANNOUM: I think make it simple, and
14 I agree with the other members that just provide
15 information as mentioned by Novartis.

16 DR. CANTILENA: Dr. Bisno.

17 DR. BISNO: I think after listening to the
18 discussion, I would agree that this is probably
19 inappropriate to put on the package label, and
20 don't think it should be put on.

21 If there is a decision to add such a
22 caution anywhere else, on the box or anywhere else,

1 I would advocate that the conditions that I
2 mentioned in my slide be put on, because they are
3 established risk factors, and I would diabetes on
4 general grounds and also immunosuppression, which
5 is also an established risk factor, if there is a
6 decision to do that, but I would certain agree that
7 it doesn't belong on the package labeling.

8 DR. CANTILENA: Dr. Wood.

9 DR. WOOD: I agree with what was just said
10 about presumably, every over-the-counter product
11 should probably have some disclaimer that says if
12 you are not getting better or you are getting
13 worse, see your doctor.

14 I am comfortable with putting lists on the
15 label that are actually lists of things that should
16 encourage you to take the drug rather than lists of
17 things that can go wrong when you take the drug,
18 and these are very different issues. I think most
19 consumers would interpret a list on the label as
20 something that should encourage them to avoid
21 taking the drug rather than the other way around.

22 In fact, in Dr. Bisno's risk factors, it

1 would seem to me to be indications for rushing out
2 today and buying these drugs, and the idea that
3 consumers will read this label and be discouraged
4 from taking it seems to me a major public health
5 hazard actually.

6 DR. CANTILENA: Thank you.

7 Dr. Ten Have.

8 DR. TEN HAVE: Support a simple general
9 statement, cautionary statement.

10 DR. CANTILENA: Dr. Alfano.

11 DR. ALFANO: Same comment I made earlier,
12 simple statement as has been propose by both
13 Novartis and CHPA, essentially, the same statement.

14 DR. CANTILENA: Thank you.

15 Mr. Kresel.

16 MR. KRESEL: I support a simple statement.
17 I think the first rule of label writing is keep it
18 simple. People don't read beyond that, or they
19 just get so confused they give up.

20 DR. CANTILENA: I would agree with
21 generally what has been said, that we should not
22 add specifics at this point until we have the data

1 to support that, but we do need to strengthen the
2 other warnings, which have already been talked
3 about, and I would support those changes.

4 Okay. I think we have all talked about the
5 shortcomings of the existing label, so I am
6 confident that this group can go right to 3(b), are
7 there claims on the current labeling that may
8 mislead consumers to have greater expectations.

9 We can start here and we will do an actual
10 yes or no on this. If there are claims, then, if
11 you would specify which ones trouble you the most
12 about misleading consumers.

13 Tab 7, Table 2 tells you the choices for
14 the monograph, and Table 3 has some samples of
15 information from some of the OTC NDA drugs. We had
16 sort of touched on these earlier today.

17 Some people have significant trouble with
18 some of the wording and whatnot, and the qualifiers
19 or lack thereof, so I think maybe we can just say
20 whether or not you do have a problem with the
21 existing label in terms of it being misleading to
22 consumers, and if you do, which are the things that

1 trouble you the most.

2 Go ahead, Mr. Kresel.

3 MR. KRESEL: I think the one that we have
4 talked about the most is "cures most," which I
5 don't think anybody really had any understanding of
6 anyway, it doesn't seem to have any meaning, so it
7 ought to go away. I think "cures most" being
8 replaced with "treats" makes a lot of sense to me.

9 DR. CANTILENA: Dr. Alfano.

10 DR. ALFANO: I think back to what Dr. Bull
11 said, what is clinically meaningful to the
12 consumer, and if you think of the data that was
13 presented by Schering-Plough, most consumers buy
14 these products for sort of symptomatic relief, they
15 are not treating hyperkeratosis.

16 So, under that basis, just to clear up the
17 issue on cures, I would agree that "treats" would
18 be a better word as proposed by Novartis.

19 DR. CANTILENA: Dr. Ten Have.

20 DR. TEN HAVE: I agree that "treats" is
21 better.

22 DR. CANTILENA: How about in terms of the

1 other items in the current OTC labeling? Let me
2 find a good example of that. Attachment 2?

3 DR. FINCHAM: It is Tab 7, Attachment 2,
4 not Table 2.

5 DR. CANTILENA: Attachment 2 in Tab 7.

6 Yes, we are going to go all the way around.

7 Dr. Wood. I think I know what your most
8 important concern is.

9 DR. WOOD: My biggest concern is most, but
10 seriously, I think there are other questions that
11 are in here that we should address, on page 6, for
12 example, that are specifically addressed, at least
13 I imagine are specifically addressed to the
14 committee.

15 I like the idea on page 5 of including
16 specific efficacy data, which would not have to
17 rely on comparative studies. Does that make sense?
18 So, the data that came from the studies, if that
19 exists; if it doesn't exist, then, tough luck. I
20 mean if it doesn't exist, you don't get to put
21 anything on, and people should draw their own
22 conclusions from that. Maybe you should say there

1 are no data to say how effective this is, if that
2 is what it is, and if the other products that says
3 it is X percent effective--

4 DR. CANTILENA: I think perhaps you are
5 crossing over into 3(a) with additions. I am
6 actually sort of looking for problems that you have
7 with the current label.

8 DR. WOOD: Then, I will pass.

9 DR. CANTILENA: We will have an
10 opportunity to come back to all the items that we
11 would like to add.

12 Dr. Bisno.

13 DR. BISNO: I don't have any comment.

14 DR. CANTILENA: Dr. Ghannoum.

15 DR. GHANNOUM: I agree "treat" is better.

16 DR. CANTILENA: Dr. Katz, any problems
17 with the current label?

18 DR. KATZ: There is a lot of problems here
19 because as Dr. Wood said initially, "cures most,"
20 it clearly doesn't cure most, so that is wrong, it
21 is deceptive. It is not even effective in most, so
22 using that word is very deceptive. The effective

1 treatment in Dr. Fritsch's discussion on page 8,
2 Table 16, even effective treatment, if you subtract
3 the effective improvement from the placebo, in none
4 of them does it even reach 50 percent. That is not
5 considering cure, it is just effective.

6 So, using that word, I agree with Dr. Wood
7 adamantly that that is deceptive. Even treating
8 most, what does that mean, "treating most?" I
9 don't understand that. If I am a consumer and I go
10 to the store, and I see it "treats most," what does
11 that mean, that it helps most? So, that is another
12 word that shouldn't be there.

13 The most clear-cut situation would be
14 clear symptoms in whatever the number that the FDA
15 and company agree upon improves symptoms in 48
16 percent of patients, or if you take a few studies,
17 in anywhere between 35 and 48 percent of patients.
18 That means something. Most consumers know what
19 that percentage means.

20 So, I would be very specific with that
21 without getting too tedious, and that should be for
22 each drug.

1 That's all the comments I had.

2 DR. CANTILENA: Dr. Schmidt. Problems
3 with the existing label.

4 DR. SCHMIDT: I agree. I think put "treats
5 athlete's foot," and then I agree with Dr. Wood
6 that there should be some percentage, if it's
7 available.

8 DR. CANTILENA: Dr. Davidoff.

9 DR. DAVIDOFF: I also have a concern with
10 the "cures most," phrasing, but for a somewhat
11 different reason. It seemed to me that we have been
12 looking at this from a rather narrow point of view,
13 namely, sort of a bug in the skin, and it seems to
14 me that the actual situation is more complicated
15 than that in the sense that there is bugs in the
16 skin and in the surrounding environment, and that
17 unless you take that ecological view, it's I think
18 not fair to be talking about cure, because unless
19 you treat all parts of the situation, you are not
20 really dealing with the whole situation.

21 It would be a little bit like talking
22 about preventing surgical infections because you

1 have given pre-op antibiotics, but surgeons don't
2 have to wash their hands. I mean the ecological
3 view would include the surgeons' hands.

4 So, having said that, I think it is also
5 fair to say that the word "cure" is appropriate for
6 many patients. The organism is eliminated, the
7 symptoms either disappear or get much better, and
8 what is residual may not be related to the
9 infection. So, I think "cure" actually is not an
10 unreasonable term.

11 So, putting that all together, it seems to
12 me that the word "cure" might be appropriately
13 retained, that the word "most" should go, agree,
14 but I think there are other words that could be
15 used, for example, I know you may not want to get
16 into wordsmithing, but even saying "cures many"
17 would be not be unreasonable. That is not I think
18 false advertising.

19 Finally, if you take the ecological point
20 of view, you might want to include a word like
21 "helps," so "helps cure many tinea pedis
22 infections" might be useful in the same sense that

1 fluoride in toothpaste, the claim for it is
2 included in the context, you know, the ADA's
3 statement about fluoride is that fluoride helps
4 prevent cavities as part of a program.

5 I wondered if from the dermatologists'
6 point of view, having a word like "helps" might be
7 useful in the sense that it would allow or
8 encourage patients to at least find out what else
9 they could be doing like the other things that
10 dermatologists do talk to their patients about,
11 like how they should deal with their socks and
12 shoes and their shower, their avoidance of swimming
13 pools, or whatever else.

14 So, I would summarize it by suggesting
15 that "helps cure many" might be the way to go.

16 DR. CANTILENA: Dr. Whitmore.

17 DR. WHITMORE: I agree with what has been
18 said about the current labeling and then if I could
19 say something about the proposed label. On the
20 front of the Lamisil box, they talk about
21 "eliminating fungal infection," and I would not say
22 that, I would say "treat fungal infection." The

1 same is true for the Desenex.

2 DR. CANTILENA: We will talk about the
3 additions that you would like in the next question,
4 but thank you.

5 Dr. Fincham.

6 DR. FINCHAM: I have troubles with the
7 wording of "cures" and "most," and an appropriate
8 replacement needs to be made. One of the problems
9 with advisory committees is they give you advice,
10 and I appreciate your patience listening to us
11 today.

12 DR. CANTILENA: Dr. Ringel.

13 DR. RINGEL: I think that the label should
14 reflect exactly what the product does, and I think
15 what this product does is that it kills athlete's
16 foot fungus, and I think that the label should say
17 "kills most athlete's foot fungus." If, then, the
18 symptoms don't go away, the person should have
19 assumed that either he has persistent inflammation
20 or some other disease that is not athlete's foot,
21 or perhaps a resistant organism, but that is as
22 honest as I can think of, it kills athlete's foot

1 fungus.

2 DR. CANTILENA: Dr. Lam.

3 DR. LAM: I actually think that the word
4 "most" should go just because it's misleading, and
5 leave out "cure athlete's foot." To me, the
6 consumer will actually think that it takes care of
7 the problem, and when you look at the response
8 rate, it doesn't.

9 So, I think it should just state what it
10 is supposed to be used for, which is treatment of
11 athlete's foot, and that, in a sense, make an
12 implication that there is not guarantee that it
13 will take care of that condition in every single
14 patient.

15 DR. CANTILENA: Dr. Patten.

16 DR. PATTEN: Certainly, the word "most"
17 should go, and I even wonder about the word
18 "treatment." I wonder if, in the general usage of
19 that word, or the way it is conceptualized,
20 treatment does not imply the goal of cure.

21 I just raise that question. I am operating
22 on the assumption that it does, so I would favor

1 information that tells people that it improves
2 symptoms or alleviates symptoms, or something like
3 this.

4 Also, I am looking farther back in this
5 Section 7, and I am seeing some samples on
6 prescription drug labels, and I am seeing the word
7 dermatologic and dermal crop up several places, and
8 I think when that is for consumption of the
9 purchasing public, the word "skin" should be used
10 rather than dermal or dermatologic.

11 DR. CANTILENA: Thank you.

12 Dr. Wilkerson.

13 DR. WILKERSON: The only thing I have to
14 add, I have seen on some packaging, there is a
15 warning on there to the effect of do not use on
16 nails, and I don't see that in any of our
17 materials. I just wondered if Dr. Ganley could--I
18 mean many times we don't want to treat patients
19 with systemic antifungals, and we tell them to go
20 get something, and they read this warning on the
21 box not to use this on their nails, thinking that
22 some harm is going to come to them outside of the

1 fact that it may not work.

2 Dr. Ganley, I was wondering, it is not in
3 our materials, but I know it is on consumer items,
4 I have seen it in the last few weeks. Maybe it is
5 in there.

6 DR. CANTILENA: Dr. Ganley, do you know?

7 DR. GANLEY: Yes, I am looking on page 18
8 of Tab 7. Do not use on nails or scalp.

9 DR. WILKERSON: A lot of people interpret
10 that to mean that--I mean is this the standard
11 wording every time, or are there variations on this
12 wording?

13 DR. CANTILENA: This is for the NDA
14 version, right?

15 DR. GANLEY: It's on some of the products,
16 I believe, not all of them.

17 DR. WILKERSON: Would there be other
18 wording that would actually warn against using it
19 on hair or nails?

20 DR. GANLEY: I think the point would be is
21 whether this would be effective in treating a nail
22 infection like onychomycosis, and it may be that it

1 may have to be stated differently, but I think it
2 is a point well taken.

3 DR. WHITMORE: I think it might be helpful
4 to say do not use to treat infection of the scalp
5 or nails, because patients will come in and say I
6 got some on my nails, am I going to die.

7 DR. CANTILENA: So, you have a problem
8 with that in the NDA version.

9 Dr. Raimer.

10 DR. RAIMER: I don't really have much to
11 add either. I don't like the most cures obviously,
12 as everyone else has stated, but what we should
13 replace it with exactly, I think we have had
14 several good suggestions, I don't have any strong
15 feelings about any of them.

16 DR. CANTILENA: Dr. Epps.

17 DR. EPPS: Well, if someone wanted to use
18 cures, that would be a nice time to put in your
19 percentage cures, or a little asterisk referring to
20 the bottom, in our trials 50 percent or 20 percent
21 or 60 percent or whatever, that might be helpful.

22 Are we commenting on all the label or just

1 that part? I like relieves, for relief of, as
2 well.

3 DR. CANTILENA: Dr. Clapp, problems with
4 the existing label and what you don't like.

5 DR. CLAPP: Problems with the existing
6 label certainly are "cures most," because I haven't
7 seen any evidence of that. "Most" and "cures" is a
8 standard that I don't think most of them can live
9 up to within the time frame that is expected. I
10 think if we take it out to 6 to 9 weeks, then, we
11 see more relationship to actual cure.

12 But I think that the efficacy endpoint are
13 interesting, and negative mycology certainly would
14 be among our standards for expectation with
15 patients, but I think the patients are more
16 interested in a symptomatic cure or to symptomatic
17 relief, so I like the concept of effective
18 treatment as being the standard for us to consider
19 as opposed to actual cure, complete cure, but I
20 think it could also be something that consumers
21 could conceptualize better than cure, and that if
22 they have a relapse or recrudescence or just

1 incomplete treatment, they can say, well, it didn't
2 say it was going to cure me and just didn't
3 effectively treat me.

4 Perhaps that is a middle ground that makes
5 patients more willing to try again.

6 The other things on the label that I am
7 concerned about are the warnings for children,
8 because I am not sure--I know that this is getting
9 into a different scope, but I think we have to
10 consider always reasons that we are limiting use
11 for children under 2 if there is not a legitimate
12 reason, or whether or not there is.

13 Also, we are talking about the monograph
14 labels, are we?

15 DR. CANTILENA: Actually, either one.
16 There is NDA, OTC, and the monograph.

17 DR. CLAPP: Some say don't use in children
18 under 2, some say use only in children over 12, and
19 I think we have to have a good reason for the use
20 specific to the medications that are being used.

21 The other interesting thing that I find ,
22 the Novartis label is interesting, the graphics are

1 nice, but I am concerned about the claim that is
2 must be used twice daily for full 7 days to
3 eliminate fungal infection, when, in fact, on the
4 indications that we have for usage was the moccasin
5 type tinea pedis, is that it must be used for 2
6 weeks, so there is an ambiguity here that I think
7 perhaps patients are not, when they grab the box
8 and read it, and if they think that they have
9 tinea, and it is the moccasin type, I don't think
10 they are distinguishing between moccasin versus
11 intertriginous, and they would perhaps expect,
12 leave with the expectation that they are fully
13 cured in one week, so I think that ambiguity should
14 be addressed.

15 The other part that I see in some of these
16 labels are where we are demanding of the patient to
17 make the diagnosis of not only tinea, but
18 intertriginous versus moccasin type, and I think it
19 puts quite burden on the patient, and when you read
20 the attached labels, some of the labels you have
21 say cures between the toes, and others say but not
22 on the bottom of the feet or only for use between

1 the toes, and I want to make sure there is some
2 consistency between the use and indication, and the
3 actual reality of whether or not patients should
4 then think that they should not use it if they have
5 it on their feet or whether they can only use it if
6 it is between the toes.

7 The instructions to the patient has to be
8 consistent with the type of tinea that we are
9 advising them or the location of the tinea that we
10 are advising that they could treat.

11 Oh, and one last thing about the Novartis.
12 If we are talking about the last question about
13 indications or warnings for patients, many stated
14 that they liked their label in terms of the
15 warnings to ask a doctor if the symptoms worsen or
16 new symptoms develop, but then it also has a
17 precautionary note especially if you have diabetes,
18 and I haven't heard that we have a clear link
19 between diabetes as being worse than anything else.
20 I like the caution, but not specifying diabetes and
21 not listing lymphedema and CABG patients and
22 everything else.

1 DR. CANTILENA: Thank you.

2 Dr. Benowitz.

3 DR. BENOWITZ: For the first part, I am
4 happy to say "treats athlete's foot." One thing
5 which I just noticed in this Novartis ad which is a
6 problem because it's not true, although it is not a
7 bad idea to encourage people, but it says, "Must be
8 used to eliminate."

9 According to these guidelines, to
10 eliminate fungal infection, that's not true. Most
11 people don't use it according to the way it is
12 supposed to be used, and in many cases, it still
13 works. I think we should encourage people, but not
14 say that it must be used according to guidelines in
15 order to kill fungi.

16 DR. CANTILENA: Ms. Knudson.

17 MS. KNUDSON: Well, everything I was going
18 to say has been said. I really do not like the
19 word "most," I don't like the word "cure," and I am
20 not crazy about the word "treatment" either.

21 I like Dr. Ringel's idea about "kills
22 athlete's foot fungus." I think that is a pretty

1 clear statement and there is enough information to
2 back that up.

3 I would also like to see something that
4 says something about when you could expect your
5 symptoms to clear.

6 DR. CANTILENA: Well, that will be coming
7 up actually. We will make it unanimous in terms of
8 nobody likes "cures most," and I think you should
9 obviously fix that, and then strengthening the
10 warnings is something that we will talk about now.

11 That was unanimous for 3(b).

12 Let's conclude. I don't think you all
13 want to come back tomorrow morning just for one
14 part of one question, so although we are past the
15 hour, I apologize, but I think we will be finished.

16 3(a) is the last thing we need to deal
17 with, and basically, in addition to what has
18 already been said, I would like to get everyone's
19 opinions, and we will go around the room this way
20 looking for specific additions that should be made
21 with regard to the three things that were
22 suggested. Cure rate, should that be there.

1 Expectation of symptom relief, you know, delay in
2 response, and anything else that you need, that you
3 think should be added to the label to help
4 consumers select this and use this class of
5 products.

6 Ms. Knudson, would you like to talk about
7 things that you would like to see added to the
8 label of existing and future?

9 MS. KNUDSON: I would like to see what the
10 effective treatment rate is. I think that is more
11 important for consumers than cure rate, because
12 they are going to think that it is has really gone
13 forever.

14 I would like to see certainly expectation
15 of symptom relief. That is something that I think
16 is terribly important. I think that since it has
17 been pointed out repeatedly in the material that we
18 have received, that there is a delay in response.
19 I think that should be noted, so that consumers can
20 expect that and will continue with the drug or wait
21 to see what happens for the full 7 days or however
22 many days it's appropriate.

1 DR. CANTILENA: Thank you.

2 Dr. Benowitz.

3 DR. BENOWITZ: I agree with Paula's
4 comments.

5 DR. CANTILENA: So, everything that was in
6 the question, you are in favor of.

7 DR. BENOWITZ: Yes.

8 DR. CANTILENA: Dr. Clapp.

9 DR. CLAPP: Oops, I think I gave my answer
10 already.

11 DR. CANTILENA: Well, that's all right. I
12 just wanted to come back to see if you had any
13 additional comments. You were on such a roll
14 there, I didn't want to interrupt you.

15 Dr. Epps.

16 DR. EPPS: Yes, I agree with 3(a) and all
17 its parts.

18 DR. CANTILENA: Dr. Raimer.

19 DR. RAIMER: I like the idea of effective
20 treatment rates also rather than cure rates.

21 DR. CANTILENA: Dr. Wilkerson.

22 DR. WILKERSON: I like effective rates,

1 too, much like what we are doing with psoriasis.
2 Instead of calling this the PASI index, we are
3 going to call it the FASI index. That's the Fungal
4 Area Severity Index, and we will put a 12-week
5 marker, at which time they have to be mycologically
6 negative culture, after a course of therapy,
7 develop a score, and then classify it on an easy
8 scheme.

9 I think this is something we need to cross
10 all drugs right now for comparability is we don't
11 have standards to really compare whatever we are
12 comparing to each other.

13 DR. CANTILENA: So, how would you express
14 that information on a label for consumers?

15 DR. WILKERSON: I would develop a very
16 short analog scale 1 to 4 or some kind of
17 classification, very effective or ultra effective,
18 or something, but behind the scenes, we would score
19 these to some standard, 75 percent mycologic cure
20 at 12 weeks or whatever our standard.

21 This thing about the erythema and the
22 scaling, and all that, I agree is nothing, and what

1 really counts in the end is once you are treated,
2 does your infection come back, and there is a lot
3 of reasons to have red feet and one thing and
4 another, but in the end, at a reasonable period of
5 time, 3 months out, it could be arbitrary, is your
6 infection still gone, or are your symptoms of your
7 infection still gone.

8 If it is, then, as a consumer, I would
9 probably be very happy. I think we need some
10 standardized scoring, something that is
11 standardized, easily scored, and to give consumers
12 an idea of which one of these, because as a
13 physician, I have no objective evidence outside of
14 clinical experience to tell me which one I think
15 works better.

16 DR. CANTILENA: Dr. Patten, specific
17 additions to the label.

18 DR. PATTEN: I support all three. I think
19 telling the consumers something about effective
20 treatment is particularly important, but I think
21 you also need to tell the consumer what effective
22 treatment means, so they don't conflate it or

1 confuse it with complete cure.

2 DR. CANTILENA: Dr. Lam.

3 DR. LAM: Some sort of a measure of
4 effective treatment by whatever means that we agree
5 later. I think definitely, the consumer should be
6 notified on the label regarding the time course of
7 response relative to the duration of treatment, as
8 well as the time course of resolution of symptoms,
9 so that they know that they have to continuously
10 take the medication as directed.

11 DR. CANTILENA: Dr Ringel.

12 DR. RINGEL: I agree that there should be
13 labeling that addresses all three of these issues,
14 however, I think it needs to be pretty general
15 unless we can do one head-to-head study of every
16 antifungal on the market and then update that study
17 every time a new antifungal comes on the market,
18 there is going to be unrealistic competition and
19 unrealistic claims for every product that comes
20 along.

21 So, I think what you need to do is stay
22 pretty general and say something like resolution of

1 symptoms may take weeks, not all symptoms may
2 resolve, you know, reinfection is possible, and
3 just leave it at that.

4 DR. CANTILENA: Dr. Fincham.

5 DR. FINCHAM: I think to reduce costs on
6 the part of the sponsor, which, in turn, will
7 reduce costs for patients hopefully, I think we
8 need to be general relative to the effective
9 treatment and what that means, but I think
10 something needs to be referenced to that point, and
11 I think expectation of symptom relief, as well as
12 delay in response are perfectly appropriate to have
13 on there.

14 DR. CANTILENA: Dr. Whitmore.

15 DR. WHITMORE: I second all that, and I
16 wonder if you could cut down on physician visits
17 for tinea pedis not responding to topicals by
18 adding to the labeling that if after one month, you
19 still have some symptoms, you can repeat it, but
20 that is another issue.

21 DR. CANTILENA: You would probably have to
22 study that in order to put that in your label.

1 Dr. Davidoff.

2 DR. DAVIDOFF: Yes, I agree that Nos. 2
3 and 3, there should be additional information about
4 the delay in response, and so on. No. 1, I am not
5 comfortable with, the notion of putting cure rates
6 for several reasons, one being that there aren't
7 head-to-head studies and therefore if you are
8 asking consumers to compare one box to another,
9 they are really comparing data that is not very
10 comparable, and I don't think that is fair or
11 appropriate, on top of which, we can't even decide,
12 I think for good reasons, whether cure rate or
13 effective treatment is the appropriate endpoint to
14 be talking about.

15 Finally, Tom Ten Have and his colleagues
16 have convinced me that relying on point estimates
17 as a way of conveying information alone is very
18 chancy, and I think that putting down cure rates
19 doesn't take into account the measures of
20 uncertainty of the data, and without that, it is
21 actually misleading.

22 DR. CANTILENA: So, how would you

1 communicate that information to the consumer?

2 DR. DAVIDOFF: I wouldn't try to
3 quantitate it.

4 DR. CANTILENA: Dr. Schmidt.

5 DR. SCHMIDT: I agree. The one thing I
6 would mention, though, as putting information on
7 the labeling, and it is already on this one on page
8 19, is about proper foot care. I think that is
9 very important.

10 DR. CANTILENA: Dr. Katz.

11 DR. KATZ: I think it would be more
12 informative for the consumer to know whether it's
13 effective in 20 percent or 40 percent or 90
14 percent, and a range. It wouldn't be head-to-head
15 comparison if it was just comparison against
16 placebo.

17 I think also it is very important when you
18 say 80 percent clear, that the consumer know that
19 50 percent of the placebo may be clear in that
20 study, so the effective clearing rate is really 30
21 percent, so I think it is important that we not be
22 deceptive in that degree, but it is more specific

1 to let somebody know when they are picking up a
2 tube of medication whether they have a 30 percent
3 chance of stopping itching or 90 percent.

4 I would say also as an aside, that when
5 people say that it's negative mycology completely
6 in 90 percent of patients, things of that sort on
7 page 7, Dr. Fritsch's comment, even negative
8 mycology, if you subtract placebo, you are talking
9 about 23 percent to 67 percent with different drugs
10 giving clearing KOH and culture. That is on page
11 7.

12 DR. CANTILENA: Thank you.

13 Dr. Ghannoum.

14 DR. GHANNOUM: Although I agree with Dr.
15 Davidoff about really it is no comparative, I think
16 it will be helpful to add for the effective
17 treatment, the percentage, clarifying that is
18 relative to the placebo, and I agree with the other
19 two that we should add information as far as
20 symptom relief, that the response may take longer,
21 may be delayed.

22 DR. CANTILENA: Dr. Bisno.

1 DR. BISNO: No additional comments.

2 DR. CANTILENA: Dr. Wood.

3 DR. WOOD: I think we should add efficacy
4 data and although I am very sympathetic to Frank's
5 comments about point estimates, and so on, I think
6 one of the issues here is that we want to encourage
7 people to develop more effective therapy, and the
8 only way we are going to do that is to give them
9 the right to promote on that.

10 So, I think allowing people to put
11 efficacy data on the label encourages better and
12 more effective therapy to be developed, because
13 people will have a commercial advantage.

14 I am very much against putting wording on
15 the label that requires interpretation, like very
16 effective, partially effective, and so on, because
17 the FDA will end up in interminable arguments about
18 where these cutpoints are, and they will appear to
19 have credibility that don't exist, so I mean if you
20 are going to put it on, you put it on the way the
21 studies came out, and you don't try and squeeze
22 them into boxes, because everybody will be trying

1 to move the box line to catch their product.

2 DR. CANTILENA: Dr. Ten Have.

3 DR. TEN HAVE: As a statistician, I would
4 take Dr. Davidoff's bait and say confidence
5 intervals, of course, but as a consumer with
6 athlete's foot, I can understand both sides of the
7 issue in terms of whether or not you report, say,
8 effective treatment rates.

9 It's a difficult issue and as a scientist,
10 I would say yes, even though the rates are based on
11 different types of responses, it is still the more
12 information and caveat emptor, so, I would have to
13 say report confidence intervals, but I know that is
14 not plausible.

15 DR. CANTILENA: The comprehension study on
16 that would be interesting.

17 Dr. Alfano.

18 DR. ALFANO: I would agree with (a) ii and
19 iii, and I think there have been adequate proposals
20 from the industry to enrich those two claim areas.

21 I strongly disagree with any specific
22 statement of cure rates or effective treatment

1 rates or whatever. Dr. Ringel just said it
2 brilliantly, I think. I mean we will have
3 initiated an insane horsepower race that will only
4 confuse the consumers. The studies are done at
5 different times in different ways.

6 The newer studies could be penalized
7 because they have more rigorous controls and the
8 response rates might not look as good, and we have
9 already seen how this becomes a slippery slope. We
10 now have charts, now we are talking about
11 confidence intervals on some package labels. The
12 consumers are going to need Ph.D.'s to understand
13 these things.

14 I thought we were going the other way. I
15 thought we were going to simple icons to make it
16 easier for people to do this, and you apply this to
17 other categories, analgesics. Do you put on
18 headaches, do you put on toothaches, third molar
19 extraction, episiotomy? I mean it's insane.

20 I understand we want to inform the
21 consumers, but this is I think wasteful information
22 that will only confuse them.

1 DR. CANTILENA: Thank you.

2 Mr. Kresel.

3 MR. KRESEL: I think meaningful data is
4 the only thing that helps the consumer, and I
5 absolutely agree with Dr. Ringel and Dr. Davidoff.
6 You are just comparing apples and oranges, studies
7 that were done over a 40-year period, conducted
8 different ways, and try to compare them to today's
9 standards.

10 I don't think that gives any meaningful
11 data to consumers. We here today couldn't agree on
12 whether it should be a complete cure and effective
13 treatment, so we don't even know where that number
14 would start.

15 I think what the consumer really wants to
16 know is when can I expect to start to feel relief,
17 so onset of activity is really important for a
18 consumer, and the fact that after I stop treatment,
19 can I expect to continue improvement and for how
20 long.

21 So, I think those are things that
22 consumers really want to know, need to know, and I

1 think it really helps them.

2 DR. CANTILENA: Dr. Alfano, did you want
3 to add one thing?

4 DR. ALFANO: One follow-up comment. I
5 think it's a justifiable concern that the Agency
6 has about improving drugs in this therapy, so I can
7 understand the concern, but there is a mechanism,
8 Dr. Ganley pointed out earlier, and that is, two,
9 well-controlled trials will get you a claim.

10 You can advertise that claim, and you can
11 drive sales in that fashion, and I think that is
12 the mechanism that will drive this category to
13 further improvements. We have seen it driven that
14 way already without all these other tools brought
15 to bear.

16 DR. CANTILENA: I would just like to add
17 that I certainly understand what has been said and
18 the concerns about confidence intervals and
19 flooding the label, but I think as it stands now,
20 the consumer is not given enough information for
21 them to select the most efficacious product.

22 There obviously is information available

1 because we have seen it today and we will hear the
2 Code in our closed session tomorrow in terms of who
3 is A, B, C, and D. So, at least you, as a
4 committee member, will be able to go buy the most
5 effective treatment for athlete's foot.

6 So, Tom, if you can just hold on another
7 day, relief is on the way.

8 But I think the other things, what is on
9 the slide, I think would inform the consumer. I
10 think the current label is inadequate in those
11 areas and I agree with what has been suggested as
12 possible additions. I don't know the right way to
13 handle the effect of treatment, but you have to
14 give them some information that is quantitative in
15 some respects.

16 So, having almost the last word, we have
17 an issue for tomorrow. Since we basically
18 accomplished the morning agenda for tomorrow this
19 afternoon, we will start the closed session--the
20 Nonprescription Advisory Committee will meet here
21 at 8 o'clock. Everyone will meet here and we will
22 split up.

1 DR. GANLEY: Jon and I talked and I think
2 we could probably meet here at 8:30. We have to
3 have the Open Session at 11 o'clock. I think both
4 of us have probably two hours' worth of information
5 to go over with the committees.

6 DR. CANTILENA: Just to summarize for
7 those of you who didn't hear all that, all of us
8 back here at 8:30 tomorrow morning. The other
9 committee is escorted over to the Parklawn. We
10 will have another class outing with your chaperons.
11 Then we are all back here together for the Open
12 Public Hearing at 11 o'clock.

13 With that, we will close today's meeting.
14 Thank you very much, members of the committee and
15 members of FDA.

16 (Whereupon, at 6:00 p.m., the meeting was
17 recessed to be resumed at 8:30 a.m., Friday, May 7,
18 2004.)

19 - - -