

U.S. FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

BLOOD PRODUCTS ADVISORY COMMITTEE

+ + + + +

89th MEETING

+ + + + +

FRIDAY,
APRIL 27, 2007

+ + + + +

The meeting convened at 8:00 a.m.
at the Hilton Washington D.C.
North/Gaithersburg, 620 Perry Parkway,
Gaithersburg, Maryland, Frederick P. Siegal,
M.D., Chairman, presiding.

COMMITTEE MEMBERS PRESENT:

FREDERICK P. SIEGAL, M.D.

Chairman

JUDITH R. BAKER, M.H.S.A. Consumer
Representative

ADRIAN M. DI BISCEGLIE, M.D. Member

WILLARDA V. EDWARDS, M.D., MBA
Member

MAUREEN A. FINNEGAN, M.D. Member

LOUIS M. KATZ, M.D.

Non-Voting Industry Representative

HARVEY G. KLEIN, M.D. Temporary Voting Member

MATTHEW J. KUEHNERT, M.D. Member

COMMITTEE MEMBERS PRESENT (continued):

CATHERINE S. MANNO, M.D. Member

KENRAD E. NELSON, M.D. Temporary Voting Member

GEORGE B. SCHREIBER, Sc.D. Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

SIMONE A. GLYNN, M.D., Msc., M.P.H. Temporary
Voting Member

IRMA O.V. SZYMANSKI, M.D. Member

DONNA S. WHITTAKER, Ph.D. Member

FDA PARTICIPANTS:

DONALD W. JEHN, M.S. Executive Secretary

JAY EPSTEIN, M.D.

Director, Office of Blood Research and Review

BASIL GOLDING, M.D.

SHERYL A. KOCHMAN

Chief, Devices Review Branch, DBA/OBRR/CBER

HIRA NAKHASI

MARIA RIOS, Ph.D.

DETTD/OBRR

DOROTHY SCOTT, M.D.

OBRR/CBER

MARK WEINSTEIN, Ph.D. OBRR/CBER

ALAN E. WILLIAMS, Ph.D. Director, Division of
Blood Applications, OBRR/CBER

GUEST SPEAKERS:

RICHARD J. BENJAMIN, M.D., Ph.D. Chief Medical
Officer, American Red Cross Biomedical
Headquarters

CELSO BIANCO, M.D.

America's Blood Centers

EILEEN FARNON, M.D.

Division of Vector-Borne Infectious Disease,
Arboviral Disease Branch, CDC

GUEST SPEAKERS: (continued)

JERRY HOLMBERG, Ph.D. Executive Secretary,
Advisory Committee on Blood Safety and
Availability, DHHS

STEVEN H. KLEINMAN, M.D. University of British
Columbia

RAVINDRA SARODE, M.D. Director, Transfusion
Medicine and Hemostasis Reference Laboratory,
University of Texas Southwestern Medical
Center

SUSAN L. STRAMER, Ph.D. Executive Scientific
Officer, American Red Cross

DAVID F. STRONCEK, M.D. Chief, Laboratory
Services Section, Department of Transfusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

Medicine, Clinical Center, NIH

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

C O N T E N T S

	PAGE
Committee Updates	
Summary of August 30-31, 206 Meeting of the DHHS Advisory Committee on Blood Safety and Availability Dr. Jerry Holmberg.....	10
Summary of December 13, 2006 Meeting of the Transmissible Spongiform Encephalopathies Advisory Committee Dr. Dorothy Scott.....	18
Q&A Session.....	32
Dr. Mark Weinstein.....	39
Q&A Session.....	49
Summary of September 25-26, 2006 FDA Workshop on Molecular Methods in Immunohematology Dr. Sheryl Kochman.....	50
Topic II: Transfusion Related Acute Lung Injury (TRALI)	
A. Introduction, Alan Williams Ph.D.....	67
B. Clinical and Laboratory Aspects of TRALI Dr. David F. Stroncek.....	80
Q&A Session.....	108
C. Current Use of Transfusable Plasma Dr. Ravindra Sarode.....	117
Q&A Session.....	137

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

D. Review of REDS-II LAPS Study on HLA and Granulocyte Antibody Prevalence in Blood Donors Dr. Steven Kleinman.....	142
Q&A Session.....	167
E. American Red Cross Experience with TRALI Dr. Richard J. Benjamin.....	180
Q&A Session.....	195
F. America's Blood Centers Experience with TRALI Dr. Celso Bianco.....	206
Q&A Session.....	222
Open Public Hearing.....	n/a
Questions for the Committee....	234
Topic III: Issues Related to Implementation of West Nile Virus Testing	
A. Update on WNV Epidemic 2006 Dr. Eileen Farnon, CDC.....	255
Q&A Session.....	270
B. Issues for Testing Dr. Maria Rios, FDA.....	276
C. Data in Support of the Current ID-NAT Triggers American Red Cross Dr. Susan Stramer.....	301
Q&A Session.....	330

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P R O C E E D I N G S

2 DR. SIEGAL: Good morning.

3 MR. JEHN: Let's everybody sit
4 down and we're getting ready to start. I
5 have a brief statement to read on the
6 conflict of interest, an addendum from
7 yesterday.

8 This brief announcement is in
9 addition to the conflict of interest
10 statement read at the beginning of the
11 meeting on April 26, and will be a part of
12 the public record for the Blood Products
13 Advisory Committee meeting on April 27, 2007.

14 This announcement addresses
15 conflicts of interest for the discussions of
16 topic II, Transfusion Related Acute Lung
17 Injury, TRALI, and topic III, issues related
18 to the implementation of blood donor
19 screening for infection with West Nile virus.

20 For the discussion of Topic Three
21 on West Nile Virus, Dr. Adrian Bisceglie has
22 received a waiver under 18 U.S. Code Section

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 208(b)(3). A copy of the written waiver may
2 be obtained by submitting a written request
3 to the Agency's Freedom of Information
4 Office, Room 12A30 of the Parklawn Building.

5 Dr. Louis Katz is serving as the
6 industry representative, acting on behalf of
7 all related industry and is employed by the
8 Mississippi Valley Regional Blood Center. He
9 receives consulting fees from firms that
10 could be affected by the discussion.

11 Dr. Katz is also the medical
12 director for Scott County, Iowa, Health
13 Department, who has a contract with an
14 affected firm. Industry representatives are
15 not special Government employees and do not
16 vote.

17 The Agency has determined that the
18 information provided by the guest speakers is
19 essential. The following information is
20 being made public to allow the audience to
21 objectively evaluate any presentation and/or
22 comments made by the speakers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Richard Benjamin is employed
2 by the American Red Cross. Dr. Benjamin
3 received consulting fees from firms that
4 could be affected by the discussion.

5 Dr. Celso Bianco is employed by
6 the Americas Blood Centers.

7 Dr. Eileen Farnon is employed by
8 CDC in Fort Collins, Colorado.

9 Dr. Steven Kleinman is employed by
10 the University of British Columbia. He
11 receives consulting fees from several firms
12 that could be affected by the discussions.

13 Dr. Ravindra Sarode is employed by
14 the University of Texas, Southwestern Medical
15 Center. He is a scientific adviser for a
16 firm that could be affected by the
17 discussions, for which he receives a fee.

18 Dr. Susan Stramer is employed by
19 the American Red Cross. She is the principal
20 investigator on a study from a firm that
21 could be affected. She also was a speaker
22 for an affected firm.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. David Stroncek is employed by
2 the National Heart, Lung and Blood Institute
3 at NIH. As part of his official Government
4 duties, he is a scientific adviser for a
5 NHLBI-funded grant on TRALI.

6 This conflict of interest
7 statement will be available for review at the
8 registration table. We would like to remind
9 participants that if the discussions involve
10 any other products or firms not already on
11 the agenda, for which an FDA participant has
12 a personal or imputed financial interest, the
13 participants need to exclude themselves from
14 such involvement and their exclusion will be
15 noted for the record.

16 FDA encourages all other
17 participants to advise the committee of any
18 financial relationships that you may have
19 with any firms that could be affected by the
20 committee discussions.

21 Mr. Chair.

22 DR. SIEGAL: So good morning, it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Friday, and since it's Friday, I'd like to
2 stick to our time, if we can, so that we can
3 get out at a reasonable hour, unlike
4 yesterday. So I'll try and encourage people
5 to stick to their allotted time.

6 We're first going to have some
7 committee updates. The first is Jerry
8 Holmberg, executive secretary, Advisory
9 Committee on Blood Safety and Availability,
10 summarizing the August meeting of the DHHS
11 Advisory Committee on Blood Safety and
12 Availability.

13 Dr. Holmberg.

14 DR. HOLMBERG: Thank you, Mr.
15 Chairman. Disclosure. I am employed by
16 Health and Human Services and am the senior
17 adviser for Blood Policy and also the
18 executive secretary for the Advisory
19 Committee on Blood Safety and Availability.

20 Our last meeting was in August,
21 the end of August 2006. We did not have a
22 meeting in January, and at that meeting our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 primary topic was whether the United States
2 needed to move towards a biovigilance system.

3 The questions that were asked of the
4 committee were what are the essential
5 components of a basic element of
6 biovigilance? Should biovigilance be
7 considered part of a comprehensive quality
8 standard as expressed by CGMP, CGTP, or CLA?

9 What characteristic--and I should just
10 identify what those are--current good
11 manufacturing practices, current good tissue
12 practices, or the clinical laboratory
13 improvement amendment.

14 What characteristics of a
15 biovigilance system are already in place
16 within the United States, and also to look at
17 the impact--and I know this is a little
18 difficult to read--Does the U.S. need a
19 biovigilance system? What would be the
20 strengths, weaknesses, opportunities and
21 threats of a biovigilance system? How would
22 a biovigilance system contribute to and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 integrate into, with the transformation of
2 the health care system within the United
3 States? And should a biovigilance system
4 integrate with international systems?

5 In the above questions, how does
6 this integrate, what does this integration
7 mean to the committee as far as
8 standardization of data elements, platforms,
9 data sharing, analysis, and forums to discuss
10 the analysis of the data collected?

11 We also asked the committee to
12 take a look at responsibilities. What is the
13 responsibility of the Federal Government and
14 the private sector in a biovigilance system?

15 What recommendations, or recommendation or
16 recommendations, does the committee have in
17 regards with the Government's role and
18 function in the development, operation and
19 support of a national biovigilance system?

20 The committee came back with a
21 recommendation to the assistant secretary,
22 and to the secretary, that the safety of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 U.S. blood supply is a principal activity of
2 the Advisory Committee and the inclusion of
3 efforts to improve organs and other tissue
4 safety and availability also needs to be
5 considered.

6 We recommend that the secretary
7 coordinate federal actions and programs to
8 support and facilitate biovigilance and
9 partnership with initiatives in the private
10 sector.

11 The committee also went on to make
12 a definition of biovigilance as a
13 comprehensive and integrated national patient
14 safety program to collect, analyze and report
15 on the outcomes of collection and transfusion
16 and/or transplantation of blood components,
17 and derivative cells, tissues, and organs.

18 The program should be outcome-
19 driven, with the objective of providing early
20 warning systems of safety issues, exchanging
21 of safety information and promoting education
22 in the application of evidence for practice

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 improvement.

2 The committee went on to recommend
3 that there be a formation of an HHS and
4 Public Health Service biovigilance task group
5 for the identification of the vision, goal
6 and process needed to advance these
7 objectives, and the PHS task group should
8 perform several tasks that included a gap
9 analysis, the need for mandatory versus
10 nonmandatory, or regulatory versus
11 nonregulatory reporting, the scope of
12 reporting, database centralization, database
13 governance, format, and standards for data
14 reporting, coordination with non-U.S. safety
15 reporting systems, funding, design, and
16 feasibility of suitable pilot programs.

17 Since that meeting and since those
18 recommendations were forwarded to my boss,
19 Dr. Aquinobi, there have been several action
20 items.

21 The first action item was that the
22 Advisory Committee for Blood Safety and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Availability's charter was revised and
2 renewed.

3 I am pleased to say that Secretary
4 Leavitt signed it the first part of October
5 and as with most of the Advisory Committees,
6 these are under the sunset rules, and so that
7 it has to be reapproved every two years.

8 The charter was expanded to
9 include the scope of transfusion and
10 transplantation safety. Along with that
11 expansion of the scope of the charter, there
12 was also an expansion of the nonvoting
13 government membership to the committee and
14 that is that we do have representation now
15 from another office within CBER and that is
16 of Cells, Tissues and Gene Therapies, and
17 also within the Health Resources and Services
18 Administration, HRSA, the Department of
19 Transplantation.

20 There has been a working group
21 formed within HHS operating division and the
22 operating divisions that I'm referring to are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the National Institutes of Health, the FDA,
2 CDC, and CMS, and I'm probably leaving one
3 out but I can't remember, right off the top
4 of my head, to develop a gap analysis, and
5 the co-chairs of that working group are Dr.
6 Kuehnert who is on this committee, and also
7 Dr. Goldsmith from the FDA. I believe that
8 that's all I have for you. If there are any
9 questions, I can take those now.

10 I apologize for not having a
11 handout available to the committee. This
12 PowerPoint will be available to the executive
13 secretary and if you'd like, copies will be
14 available and will be posted on the Web site.

15 DR. KUEHNERT: I just wanted to
16 make a quick comment. Just given the
17 discussion we had yesterday about Chagas, I
18 think it's clear that attention to and the
19 coordination of transfusion and
20 transplantation safety issues are missing in
21 the United States somewhat, and we're behind
22 other developed countries in these efforts,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other countries throughout the world already
2 have programs for hemovigilance and,
3 increasingly, biovigilance, to include organ
4 and tissue.

5 So for us, an integrated platform
6 for surveillance will allow us to catch up,
7 and perhaps lead in these efforts. So we're,
8 at CDC, very excited to be involved.

9 DR. SIEGAL: Thank you, Dr.
10 Kuehnert.

11 The next speaker will be Mark
12 Weinstein. Well, it appears, I guess,
13 Dorothy Scott and Mark Weinstein, summarizing
14 the December meetings of the Transmissible
15 Spongiform Encephalopathies Advisory
16 Committee, and FDA's Risk Communication on
17 Plasma Derived factor VIII and Factor XI.

18 DR. SCOTT: Thank you. On
19 December 15th of 2006, we had a one-day TSA
20 Advisory Committee meeting, and the purpose
21 of that was really threefold. Dr. Anderson,
22 from the Center For Biologics at FDA,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 presented the draft quantitative risk
2 assessment for vCJD risk potentially
3 associated with the use of human plasma-
4 derived Factor VIII, manufactured under U.S.
5 license from plasma collected in the U.S.,
6 and this was a long time in the making
7 because there were a number of meetings that
8 discussed the inputs to this risk assessment.

9 It is a highly complex document,
10 and rather than trying to summarize it here
11 without the benefit of Dr. Anderson's
12 presence, I would refer you to the FDA Web
13 site where this risk assessment now is
14 published in its draft form.

15 At any rate, the risk assessment
16 was presented and it was presented as the
17 amount of potential risk associated with the
18 plasma-derived Factor VIII manufactured as
19 U.S. products.

20 The additional issues, besides the
21 presentation itself, were the risk
22 communication, which Dr. Weinstein is going

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to tell you about, and also a discussion on
2 the experimental clearance of TSE infectivity
3 in plasma-derived Factor VIII products.

4 So why do we care about the
5 clearance? Well, obviously clearance of
6 infectious agents is very important in
7 manufacturing processes. It has been shown
8 to be so for viruses, and it's presumed to be
9 so, should TSE infectivity be present in the
10 plasma of donors.

11 But this risk assessment actually
12 gave us new insight into the impact of
13 clearance. This is something that Dr.
14 Anderson and Hong Yang did called the
15 importance analysis, which is a way of
16 looking at how much the different inputs into
17 the risk assessment make a difference in the
18 outcome, which is a risk in Factor VIII
19 products.

20 And this is not really numerical
21 but everything is relative here. What you
22 can see, right off the bat, is that the log

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of manufacturing reduction of the variant CJD
2 agent has a major impact on risk. That is,
3 the greater the reduction, the lower the
4 risk.

5 This is compared with some of the
6 other inputs for the risk assessment that are
7 also important but don't reach this level of
8 impact.

9 Those include the amount of Factor
10 VIII used per year by an individual patient,
11 the prevalence of variant CDJ in the United
12 Kingdom. So the prevalence of variant CJD
13 possibly present in U.S. donors is prorated
14 to that United Kingdom variant CDJ
15 prevalence, and of course it's much lower in
16 the U.S.

17 The efficiency of transmission of
18 this agent by the intravenous route, which is
19 a real scientific question, the amount of
20 infectivity in human blood of variant CJD,
21 the truth is, we don't have any idea, what
22 that amount is.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What we do know is that there have
2 been reported transfusion transmissions of
3 this agent in the United Kingdom. The
4 quantity of infectivity in blood, we have had
5 to estimate from animal studies of other TSE
6 agents, the yield of Factor VIII from plasma
7 and the efficiency of the donor deferrals
8 that we have to try to limit the number of
9 donors who may have been exposed to bovine
10 spongiform encephalopathy, the agent of human
11 variant CJD.

12 I'm showing this really, though,
13 to show you this big impact of clearance.

14 So the amount of clearance by
15 manufacturing processes is a major driver of
16 risk. More clearance; less risk. The TSE
17 Advisory Committee had discussed TSE
18 clearance already on September 19th of last
19 year and in that discussion, they affirm the
20 importance of using bioassays in TSE
21 clearance studies rather than binding assays
22 for the abnormal Prp protein.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And they also discussed the
2 limitations and advantages of the model that
3 we used to study the amount of TSE clearance
4 during manufacturing processes. In
5 particular, they discussed spiking of plasma
6 with a TSE agent, infectious preparation from
7 brain, versus a use of endogenously-infected
8 plasma from animals as starting material for
9 the TSE clearance studies.

10 However, we asked them in that
11 meeting, would a minimum TSE agent reduction
12 factor, studied by manufacturers in scale-
13 down experiments, enhance the vCJD safety of
14 products? In other words, would the
15 definition of a minimum clearance level
16 enhance the safety?

17 And we also asked them what TSE
18 agent reduction factor would be appropriate
19 for these products.

20 The committee at that time had not
21 seen the draft risk assessment and they
22 preferred to respond to these questions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 later, when they had also had the chance to
2 review the draft risk assessment and see it
3 presented.

4 So this all happened in September
5 and that's what brought us to a meeting in
6 December.

7 I just want to mention a few
8 things about TSE clearance studies. They are
9 done in an analogous fashion to viral safety
10 studies, that is, an infectious agent--and
11 these studies are frequently done and well-
12 defined--the infectious agent is spiked into
13 plasma or the manufacturing intermediate, and
14 then a manufacturing step or series of steps
15 is performed, just as it would be done in the
16 manufacturing facility, and the removal of
17 the infectious agent is assessed at the
18 end of that manufacturing step or steps.

19 So how much viral clearance does
20 one like to see in a manufacturing process?
21 Well, you obviously want to clear at least
22 the maximum amount of virus that you expect

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to be in your starting material, but also
2 it's going to be useful to have a margin of
3 safety, in part, because it's difficult to
4 know exactly how much is the maximum amount
5 of virus in your starting material.

6 We know what's been published, but
7 we can't be sure, and of course these
8 processes aren't robust, they're not going to
9 remove exactly the same amount of virus every
10 single time because these are complex
11 matrices that are used or that exist as
12 manufacturing intermediates and you cannot
13 control, precisely, every single parameter
14 that goes into precipitation, for example.

15 You have a range of controls.

16 So the margin of safety seems like
17 a very good idea. Now how much TSE
18 infectivity do we estimate might be present
19 in plasma? This is the other thing that was
20 important, I think, for the committee to
21 know.

22 Unfortunately, all of our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 estimates are based on animal models, for
2 animals that are infected and the amount of
3 infectivity in their blood or plasma has been
4 measured.

5 Based on these studies, two to
6 thirty infectious units per mil has been
7 estimated as what is likely or what is
8 present in the plasma of animals, and what we
9 guess might be present in the plasma of
10 people.

11 And if you take a plasma unit,
12 collected by plasmapheresis, what you find is
13 that you can estimate perhaps 3.2 to 4.4 logs
14 of infectious agents might be present in a
15 plasma unit from somebody who is incubating
16 variant CDJ.

17 Like many of the inputs to the
18 risk assessment, there are a lot of caveats
19 obviously to this estimate, and you can see
20 this is only one example of many cases where
21 the risk assessment had to take a range of
22 possibilities, based on the best available

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data, and use those as inputs for the model.

2 Well, this is the kind of outcome that we
3 got from the model and this is excerpted from
4 a much larger table, which again you can
5 access from Dr. Anderson and Hong Yang's risk
6 assessment that is on the Web.

7 So this is an example, I'm really
8 showing to you, so that you can see how the
9 levels of clearance impact the real outputs
10 of the risk assessment. In these cases,
11 we're looking at definition of subjects who
12 episodically receive plasma-derived Factor
13 VIII, who do not have inhibitors, so they're
14 not getting super high doses, and with the
15 assumption that the prevalence variant CJD in
16 the United Kingdom, based upon
17 epidemiological modeling, is about 1.8
18 persons per million.

19 And very briefly, for 7 to 9 logs
20 range of clearance, the estimate, or the
21 output from the risk assessment is that the
22 risk will be 1 in 3.2 billion to a patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 If you to 4 to 6 logs of clearance, you have
2 one in 9.4 million.

3 So you can see that your orders of
4 magnitude differ already, and if you have 2
5 to 3 logs of clearance, one in 21,500. I'm
6 showing you the point estimate but, actually,
7 ranges were also described in the risk
8 assessment, which is appropriate.

9 Likewise, these are subjects that
10 again have episodic treatment, no inhibitors,
11 but one estimates the U.K. variant CJD
12 prevalence to be one in 4,225. This estimate
13 is based on a tonsil and appendix tissue
14 survey that was anonymized and done in the
15 United Kingdom to look for evidence of the
16 abnormal prion protein in those issues.

17 And you can see this number, one
18 in 4,225, is quite a bit different from 1.8
19 per million people incubating this disease.
20 This is a matter of scientific debate and I
21 think as time goes by and as more
22 surveillance studies are performed, we'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 find out what is actually the case.

2 And of course these will be
3 asymptomatic people and this number is based
4 on the presence of clinical cases.

5 So going down, I'm really showing
6 you the same kind of numbers. For 7 to 9
7 logs of clearance, where you think--this is
8 the U.K. variant CJD prevalence, one in a 100
9 million, for 4 to 6 logs, one in 105,000, and
10 for 2 to 3 logs, one in 159. So, again, you
11 see, if there's a lot of clearance, there's a
12 very low risk, and if there's quite, a
13 little, or a bit of clearance, there's a
14 substantially higher-looking risk.

15 I would also like to mention here,
16 that the available data suggests that all of
17 our U.S. licensed products are likely to have
18 TSE clearance of greater than or equal to 4
19 to 6 logs, based on the studies that we have,
20 using the best available model.

21 In this session, the
22 manufacturers, through the Plasma Protein

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Therapeutics Association, also reported the
2 status of their clearance studies and the
3 kinds of numbers that they are getting in
4 their TSE clearance studies for plasma-
5 derived Factor VIII.

6 In these studies, they spike the
7 TSE agent into the starting manufacturing
8 material. They use bioassays or binding
9 assays as a readout for TSE infectivity. The
10 types of steps that were generally studied
11 were precipitations, chromatographic steps,
12 and filtrations, and as I said, the U.S.
13 products seemed to have around 4 logs of
14 clearance or more with a series of steps or a
15 number of different steps.

16 There were varied study designs,
17 and the reason for this is that there are
18 lots of ways to prepare your TSE agent
19 preparation. You can study various numbers
20 of steps, a single step or a sequence of
21 steps, and the readouts were different.

22 So this is a question we asked the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Advisory Committee, and I'm very nearly
2 finished here, it's a long question, and in
3 fact the committee did us a favor of adding a
4 few things so that they could refine the
5 question.

6 Based on available scientific
7 knowledge, would a minimum TSE agent
8 reduction factor measure by bioassay,
9 demonstrated using an exogenous spiking model
10 in scaled-down manufacturing experiments,
11 enhance variant CJD safety of the products?

12 And the committee voted 15 yes and
13 two abstentions.

14 Then we asked them, if so, what
15 TSE agent reduction factor is most
16 appropriate, and for this they had both the
17 calculation I showed you with how much agent
18 might be present in plasma, in addition to
19 the risk assessment, which suggests the
20 impact of clearance factors and the amount of
21 risk that results, or is estimated.

22 But the committee, at this time,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 did not feel confident in identifying a
2 minimum TSE agent reduction factor, in the
3 main, because they had uncertainties about
4 the current TSE clearance model.

5 I think the problem here is mainly
6 that we do not have a good idea of exactly
7 what form the agent takes in blood and plasma
8 and so the spiking experiments are a model,
9 the endogenous experiments are another model,
10 but of course you're using animal and not
11 human plasma there, and they didn't feel that
12 they had enough scientific information to be
13 certain that the model used to come up with a
14 reduction factor would be as ideal as
15 possible.

16 So here I'm going to finish.
17 Thank you for your attention.

18 DR. SIEGAL: Thank you very much.
19 Are there any questions?

20 DR. NELSON: You discussed a
21 production process of Factor VIII, but I
22 understand there's been a report from Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Rohr and his group on filtration. Was that
2 discussed at the meeting and how much does
3 that reduce the infectivity? Do you know?
4 Are you familiar with that? Or was that
5 discussed--

6 DR. SCOTT: Well, I'm familiar
7 with several filtration kinds of steps but I
8 think what you're referring to is a more
9 novel method of filtration, which was not
10 actually discussed at this meeting, because
11 that's sort of an up front filtration for
12 blood or plasma. But it is something that
13 could be very important, certainly, in trying
14 to prevent exposure right at the start. I
15 know that's in development and we look
16 forward to hearing more about it. It's
17 certainly a scientific advance, potentially.

18 DR. NELSON: What proportion of
19 hemophiliacs get recombinant as opposed to
20 plasma-derived?

21 DR. SCOTT: I'll probably defer
22 this to Dr. Weinstein. The number that comes

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to mind is 80 percent. 80 percent are taking
2 recombinant and about 20 percent are using
3 plasma-derived. The reasons for using
4 plasma-derived, clinical reasons, reasons
5 that people cite, but this does bring up the
6 option, or the concept that there are
7 treatment options for people that they might
8 want to consider in the context of how they
9 feel about the risk assessment.

10 DR. KLEIN: To my knowledge,
11 there's never been a reported case of CJD in
12 a hemophiliac using Factor VIII. Is that
13 right?

14 DR. SCOTT: That is correct.

15 DR. KLEIN: So in the United
16 States, if there's 15- to 20,000 hemophiliacs
17 and the risk is one in a million, even, the
18 chances are that we would never see a case,
19 even if there was a risk of that magnitude,
20 if the majority of the people, as you say,
21 are taking recombinant.

22 DR. SCOTT: Well, I think that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 another point that can be made is so far,
2 there are no known cases in the United
3 Kingdom either, where the exposure was much
4 greater, and up until the late 1990's, when
5 this risk was recognized, they were using
6 United Kingdom plasma for the manufacture of
7 their experiment products.

8 DR. MANNO: There are some
9 compelling reasons why people still recommend
10 the use of plasma-derived products, although
11 the recombinant products, as we all know, are
12 severalfold more expensive than plasma-
13 derived. Those plasma-derived products that
14 retain von Willebrand factor have specific
15 indications. So I don't know that we've seen
16 the end of plasma-derived recommendations for
17 use.

18 DR. SCOTT: That's absolutely
19 right, and I should have made that point,
20 that people with von Willebrand disease are
21 essentially obligate users of plasma-derived
22 Factor VIII products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MANNO: But there are other
2 emerging indications for those Factor VIII
3 products that retain von Willebrand factor.

4 Your suggestion that they're less
5 likely, when initially used, to be associated
6 with inhibitors--

7 DR. SCOTT: Inhibitors; yes. And
8 that's certainly another reason--

9 DR. MANNO: And for immune
10 tolerance therapy, some people would prefer
11 to use plasma-derived products to induce
12 tolerance rather than recombinant product.

13 DR. SCOTT: Absolutely. Those are
14 two of the other commonly cited reasons for
15 wanting to use plasma-derived Factor VIII.
16 Thank you.

17 DR. KLEINMAN: Yes. I wanted to
18 ask, in your risk assessment, as incidence
19 figures you use the input of variant CJD risk
20 in the U.K. But I thought you're talking
21 about product that's derived from U.S.
22 sources.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So why didn't you adjust an input
2 calculation to what the risk of a donor in
3 the U.S. for carrying vCJD would be. I
4 assume it would be orders of magnitude lower.

5 DR. SCOTT: That's quite right,
6 and actually when--it's more complexity than
7 I was thinking of going into for the sake of
8 this presentation, because the full
9 presentation by Dr. Anderson gives you a
10 substantially greater explanation of this.

11 The way of estimating variant CJD
12 potential prevalence in U.S. donors is to
13 look at the U.K. risk and to estimate how
14 many donors will have been exposed to BSE in
15 the U.K. or in Europe, that might be donating
16 here.

17 So it is actually prorated. We're
18 not taking the U.K. risk, we're using that
19 U.K. risk to calculate the residual risk in
20 donors in the U.S. So these will be donors
21 that have been in the U.K., or European
22 countries for some time period, below that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time period of deferral, but still may have
2 been exposed. So that's a residual risk and
3 it also includes donors that perhaps ideally
4 should have been deferred but were not
5 deferred because these donor questions are
6 very complex, and you're not going to get a
7 100 percent perfect deferral.

8 So that is how that residual risk
9 is calculated and we do show how much risk we
10 think is in the U.K., because we need a
11 starting number to look at the residual risk.

12 DR. KLEINMAN: So the numbers you
13 put up on the slide were the U.K. numbers--

14 DR. SCOTT: That is correct.
15 There's pages and pages of calculations about
16 this in the risk assessment, that explain
17 this in substantial detail.

18 DR. KLEINMAN: Okay. Well, I
19 guess we have interesting bedtime reading,
20 then.

21 DR. SCOTT: It's a very
22 sophisticated risk assessment, I think,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 perhaps the most sophisticated one that's
2 been published for this kind of risk estimate
3 for a TSE agent in blood, and so it is
4 actually very good reading and you can
5 appreciate the complexity and how we had to
6 address the things that we don't have exact
7 numbers for, and that's why we had the two
8 estimates for the U.K. prevalence. But there
9 are many other things along the same line
10 that were done, use of ranges in scientific
11 estimate.

12 DR. SIEGAL: Thank you very much,
13 Dr. Scott.

14 The next speaker, Sheryl Kochman,
15 DBA, OBRR, FDA, will summarize the FDA
16 Workshop on Molecular Methods in
17 Immunohematology.

18 Oh, I'm sorry. We still have Dr.
19 Weinstein. My apologies.

20 DR. WEINSTEIN: Thank you. Dr.
21 Scott has given you a summary of the
22 discussion that took place at the TSE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Advisory Committee meeting in December
2 regarding the clearance of TSE infectivity
3 from plasma-derived Factor VIII products.

4 I'll give you an update on our
5 risk communication efforts with respect to
6 variant CJD and U.S. plasma-derived Factor
7 VIII that was presented at that meeting and
8 subsequently modified upon advice from the
9 committee and input from other sources.

10 I'll also talk about the risk
11 communication regarding an investigational
12 Factor XI product that was made from U.K.
13 donor plasma. Topics that I'll be discussing
14 include the development of key message points
15 in question-and-answer documents, our
16 communications strategy and progress with our
17 Factor XI risk communication.

18 First of all, with regard to the
19 development of our risk communication
20 messages, the public health messages in the
21 form of key points, and questions and
22 answers, were developed with the input from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 our sister Public Health Service agencies,
2 including the NIH and CDC. We also enlisted
3 the help of special Government employees who
4 are patient advocate, as well as experts in
5 risk communication.

6 The patient advocate SGEs were
7 asked specifically for their comments
8 regarding whether the interpretive documents
9 such as the key points in questions and
10 answers adequately represented the findings
11 of Dr. Anderson's risk assessment.

12 They were asked whether they felt
13 the documents would be easily understood by
14 the targeted audience and whether they had
15 suggestions to improve the clarity of these
16 documents.

17 They were also asked whether they
18 had suggestions with regard to how the
19 information was to be delivered to patients
20 and patient family members.

21 We are extremely appreciative of
22 this input and we feel that the contributions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 made a significant difference and
2 improvements in the clarity and delivery of
3 the risk communication messages.

4 Now we developed three key message
5 points. These encapsulated the major take-
6 home messages with regard to the risk
7 assessment.

8 The first of these key points
9 summarizes, you know, why we did the study in
10 the first place. I'll just read it off.

11 In recent years, questions have
12 been raised concerning the risk of variant
13 CJD to hemophiliac A and von Willebrand
14 disease patients who receive U.S.-licensed
15 plasma-derived Factor VIII products.

16 The second key point summarizes
17 our conclusions with regard to the risk
18 assessment. Based on a risk assessment, the
19 U.S. Public Health Service, including FDA,
20 CDC and NIH, believes that the risk of
21 variant CJD to hemophilia A and von
22 Willebrand disease patients who receive U.S.-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 licensed plasma-derived products is most
2 likely to be extremely small, although we do
3 not know the risk with certainty. vCJD risk
4 from other plasma-derived products, including
5 Factor IX, is likely to be as small or
6 smaller.

7 That latter sentence there is with
8 regard, particularly, to patients with
9 hemophilia B, who we feel would also be
10 interested in this risk assessment.

11 The third key point gives
12 information about where patients may receive
13 information, further information. Contacting
14 a specialist in hemophilia or von Willebrand
15 disease at a hemophilia treatment center is a
16 good way to learn about new information as it
17 becomes available.

18 In other parts of the document, we
19 go into other sources of information, but we
20 felt that the hemophilia treatment center
21 might be the primary source of information.

22 Now, in addition to the key

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 message points, we prepared an additional
2 part of this document that gives further
3 information. Again, this slide summarizes
4 some of those key informational, or
5 additional information topics.

6 We talk about why FDA has
7 conducted the risk assessment, actions that
8 we have taken to reduce the potential of
9 variant CJD, the risk, we talk about the
10 uncertainties in the risk assessment, and
11 again we give suggestions to patients and
12 health care providers about further actions
13 to take and where they can obtain more
14 information.

15 The last point is that we give
16 current status of the variant CJD risk.

17 In addition to the key points and
18 additional information document, we prepared
19 another document that is in the form of
20 questions and answers. This is another way
21 of conveying information to interested
22 parties. I'm not going to read all the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions here that we prepared. They are
2 present in this slide and the following
3 slide. But it gives you a sense of the
4 different topics that were discussed, and of
5 course answers are provide in these
6 documents.

7 The communications strategy that
8 we had, then, was again to develop these
9 assessments or communication with regard to
10 the risk assessment. We contacted the
11 hemophilia treatment centers, there are about
12 140 or so in the country. We had a
13 conference call inviting all the hemophilia
14 treatment center medical directors, and other
15 interested parties, to hear about our risk
16 assessment.

17 They provided input and are
18 willing to disseminate information with
19 regard to this risk assessment.

20 We also had on that conference
21 call patient advocacy organizations and they
22 are publicizing this risk communication

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 through their newsletters and other media.

2 We have done outreach to trade and
3 physicians organizations, and again, the key
4 points in question-and-answer documents list
5 sources for further information and answers
6 to questions. Our primary means of relaying
7 information now is through this Web page that
8 we posted in March of this year. This gives
9 you the address of that Web page, and on that
10 Web page you can find the key points,
11 additional information, the actual risk
12 assessment, and again, we were talking about
13 whether it's good bedtime reading. Well, you
14 can get the full document here, the risk
15 assessment and the appendix, the question-
16 and-answer document. You can also find links
17 to guidance documents regarding donor
18 deferral related to classic CJD and variant
19 CJD, further links to other sources of
20 information including the CDC Web site, and
21 there is a list of patient organizations that
22 people can contact.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The second part of today's
2 discussion includes our Factor XI risk
3 communication efforts.

4 As you may recall, this has to do
5 with the possible health risk to about 50
6 individuals who, between 1989, and 2000,
7 received an investigational product, a
8 plasma-derived Factor XI that was made in the
9 U.K. to treat deficiencies of Factor XI.

10 This plasma-derived Factor XI was
11 made using plasma from donors in the U.K.,
12 where variant CJD, where the disease, variant
13 CJD, has occurred.

14 It's very important to note that
15 the product was not made from the plasma of
16 anyone known to have developed the disease
17 and no one who has received this product is
18 known to have become infected.

19 However, although the product was
20 not made from plasma of anyone known to have
21 developed the disease, it's still possible
22 that a donor, who felt well at the time of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 donation, might have been carrying the
2 disease and so the recipient may have been
3 exposed to--there's a potential of exposure
4 to the recipient of the product.

5 Our response to this situation is
6 that we also made a computer model of a risk
7 assessment. We reported the preliminary risk
8 assessment results to the TSE Advisory
9 Committee in February 2005, and following the
10 information, received from the committee at
11 that time, and also in October of 2005, we
12 have revised the risk assessment.

13 The members of the committee also
14 advised the FDA to consult with SGEs,
15 including patient advocates, to obtain input
16 on the risk assessment and communication
17 materials.

18 So this risk assessment, the
19 preliminary risk assessment was posted on a
20 Web site in 2005. We have subsequently
21 revised that risk assessment and we have
22 finalized communication materials regarding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the risk assessment and have received input
2 from patient advocates and communication
3 experts.

4 We are now in the process of
5 communicating that information to the
6 individual IND holders, to share information
7 with them, to answer any questions, and we
8 strongly suggested they contact their
9 patients and give them this information.
10 Once this contact has been achieved between
11 the IND holders and the patients, we will be
12 updating our Web page with the finalized risk
13 communication materials and the risk
14 assessment. We will also be contacting
15 hemophilia treatment centers and patient
16 advocacy organizations about this updated Web
17 page. Thank you.

18 Any questions?

19 DR. SIEGAL: Well, again,
20 my apologies. Are there any questions?

21 DR. NELSON: I just wondered
22 whether there's been any update on the data

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the prion prevalence in tonsils and
2 appendices that have been studied, that was
3 going on in the U.K., and that was published
4 some years ago, to try to estimate what
5 proportion of the U.K. population might be
6 carriers. They had just one or two out of
7 12,000 or something.

8 I understood that there was an
9 update that hadn't been published. Was that
10 presented at the meeting?

11 DR. WEINSTEIN: I haven't seen
12 that.

MR. ASHER: No.

13 DR. WEINSTEIN: No. I guess
14 that's David Asher.

15 DR. SIEGAL: Then let's move on.
16 Thank you. All right. Now we have Dr.
17 Kochman summarizing the Workshop on Molecular
18 Methods in Immunohematology.

19 MS. KOCHMAN: I'm summarizing a
20 workshop that was held in September of 2006.

21 It was generously co-sponsored by
22 DHHS, Office of Public Health and Science,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and NHLBI, National Heart, Lung and Blood
2 Institute.

3 Many would ask why did we need
4 this workshop. There's a growing body of
5 knowledge on the basis of blood group
6 genotypes that's being published in the
7 literature, and we know of growing use of
8 various molecular methods in transfusion
9 medicine.

10 I should mention that molecular
11 methods have already been widely used in HLA
12 typing and now we're just beginning to see
13 the use being applied to red blood cell
14 antigens.

15 Tests for IBD use are available in
16 Europe but here, in the United States, it's
17 currently home-grown and research-use-only
18 testing.

19 These techniques are showing
20 promise of addressing current problems in
21 transfusion medicine. So what are those
22 current problems?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'm going to go through this
2 pretty quickly. A lack of reagent grade
3 antibodies, both polyclonal and monoclonal.
4 You might think that monoclonal antibiotics
5 would not present a problem, but, in reality,
6 science has been unable to create a
7 monoclonal antibody for every antigen of
8 interest in the red blood cell systems.

9 There's been variability of
10 reactivity of monoclonal antibodies as
11 compared to each other, as well as the
12 reactivity as compared to polyclonal
13 antibodies, most notably anti D's.

14 They have varying reactivity with
15 variant D's. We're finding that this is true
16 of other antibodies in the Rh system. We're
17 also noticing weak reactivity of clinically
18 significant antibodies. Most recently, big E
19 and Kel have risen to the list of things that
20 are becoming more difficult to detect.

21 Jka and Jkb have been consistently
22 difficult to detect. The concern here is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that if there's a failure to detect an
2 antibody to one of these antigens, it may
3 erroneously allow the patient to qualify for
4 the electronic cross-match, thus a serologic
5 cross-match may not be performed to detect
6 incompatibilities, and we are aware of
7 fatalities related to these and other
8 antibodies that we're looking into.

9 There is also weak expression of
10 the antigen, both on donor and patient cells,
11 as well as reagent red blood cells used to
12 detect those antibodies.

13 There's a lack of a single
14 universal test method for antibody detection
15 and identification and we know that the
16 different methods are optimum for different
17 antibodies. There is no single method that
18 detects all of the antibodies of interest
19 optimally.

20 So different transfusion services
21 use different systems, based on what they
22 believe is best for their patient base.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We also know that there are
2 inherent limitations in the human glutination
3 test. There's limited detection on the
4 antibody.

5 There's clearly a subjective
6 nature of the test performance, reading and
7 interpretation. Proficiency in this area may
8 be a problem.

9 There are usually single analytes
10 per test, meaning that you can only test for
11 one antibody or antigen at a time, in
12 general, and we're not always able to
13 automate these serologic methods to allow for
14 mass scale testing.

15 The goals of the workshop were to
16 provide FDA with sufficient information to
17 frame a dialogue with manufacturers of these
18 kits wishing to proceed to market, to
19 identify potential issues of importance for
20 those manufacturers, and to identify
21 potential issues of importance for users of
22 molecular methods.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Those would be those in blood
2 establishments, transfusion services and
3 reference laboratories.

4 The agenda is basically reprinted
5 here. It was broken down into the
6 international experience and the Americas
7 experience. From a point of view of the
8 international experience, some of this
9 includes sites in the U.S.

10 The International Society for
11 Blood Transfusion, and the International
12 Committee on Standardization in Hematology,
13 have provided international workshops and
14 proficiency testing on molecular blood group
15 genotyping.

16 There was a presentation on a
17 project that is going on in Europe called the
18 BloodGen Project.

19 There's a great deal of genotyping
20 in Germany, so we had an update on blood
21 group genotyping in Germany and we had a
22 presentation from one of the European

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 manufacturers on molecular genetic blood
2 group typing by the use of PCR SSP
3 techniques.

4 For the Americas experience, this
5 sort of includes Canada and South America as
6 well as the United States, there was an
7 overview of molecular methods provided, there
8 was a summary of pheno blood group
9 genotyping, a presentation on the Rh
10 complexities, both serologically and in DNA
11 genotyping, the Kidd blood group system, the
12 Duffy system, the Kell and Kx blood group
13 systems.

14 A group called the Consortium for
15 Blood Group Genes, CBGG, this is a group of
16 investigators in Canada, the U.S., and South
17 America, who are interested in furthering the
18 use of these methods. They're looking into
19 issues related to standardization,
20 proficiency testing, and that sort of thing.

21 We had an American company that
22 makes a kit called the Human Erythrocyte

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Antigen or HEA B chip, do a presentation. We
2 had a presentation on potential applications
3 of genotype analysis for the quality
4 assurance of reagent red blood cells,
5 applications of blood group antigen
6 expression systems for antibody detection and
7 identification. In other words, is there
8 another way that we can detect antibodies and
9 antigens, then, using red blood cells?

10 There was a talk on the potential
11 use of donor genotyping. Instead of simply
12 patients, some time spent on proficiency
13 testing for molecular assays and overcoming
14 limitations in current pre-transfusion
15 compatibility testing methods using phage
16 display.

17 We also presented work on current
18 FDA processes for bringing products such as
19 these to market and a review of the current
20 FDA guidance that applies to molecular
21 testing.

22 The key points that came out of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the meeting were that using molecular methods
2 in donor screening will allow testing more
3 donors for more antigens, because of
4 automation and multiplex testing.

5 This will assist in the management
6 of rare donor units. Using molecular methods
7 in patient testing will allow testing when
8 cells are sensitized with antibodies. Right
9 now, in many cases, it's difficult or
10 impossible to do that with serological
11 methods.

12 Also, it will allow testing when
13 there are multiple cell populations. For
14 example, when a patient has already had one
15 or more transfusions, and clearly, they're
16 going to have cells of different phenotypes
17 circulating.

18 There are serological methods,
19 they're difficult, they're cumbersome,
20 they're not totally reliable, and so far, the
21 testing is indicating that this method may be
22 useful here, because you can test a sample

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other than red blood cells. You can test
2 saliva. You can test epithelial cells.

3 It's been extremely helpful in
4 resolution of unusual serologic findings, and
5 has been helpful in determining a more
6 rational approach to transfusion practices
7 involving multi-transfused and transfusion-
8 dependent patients.

9 The focus switches from antibody
10 detection and then transfusion of compatible
11 units to genotyping, and then providing
12 genotype matched or closely-matched units.

13 We also heard that using molecular
14 methods for fetal genotyping aids in
15 prediction and management of HDN. This has
16 been used in many centers for a number of
17 years now.

18 Using molecular methods in the
19 manufacture of reagent red blood cells could
20 provide the possible genotype when antisera
21 are not available to determine the phenotype,
22 and that is becoming more and more of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 problem.

2 And it may also help assist in
3 selection of homozygous cells to increase the
4 chance of detecting weak but clinically
5 significant antibodies, particularly of Jka
6 and Jkb. What we also heard, the molecular
7 methods cannot completely replace serological
8 methods.

9 Antibody detection and
10 identification currently cannot be done
11 through any molecular methods. There's a
12 strong feeling that we will probably need to
13 confirm the serological phenotype, at least
14 for a while, to confirm that the molecular
15 genotype is giving us the information that we
16 need, and we will need to keep the cross-
17 match, at least for some time, but we don't
18 know for how long.

19 Some of the concerns that come out
20 when you look at some of the data that were
21 presented, the first ISBT/ICSH workshop in
22 2004 included testing of a number of DNA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 samples. There were 40 participants, six DNA
2 samples.

3 These samples were tested for
4 about 23 different antigens. There were 34
5 errors, which was an error rate of 5 percent.

6 I don't know the total number of actual
7 tests in this system or in this particular
8 workshop, but it is clear that there were 34
9 errors. It calculates out to 5 percent of
10 all samples. Most of those errors were in
11 the Rh system, probably due to its
12 complexity. There were some clerical errors.

13 So molecular methods are not unexpectedly
14 going to help us deal with clerical errors.
15 There was also a failure to detect silencing
16 SNFs, especially for D and DY. So that
17 workshop came away with seven recommendations
18 for use of controls and various testing
19 schemes.

20 So at the 2005 quality assurance
21 exercise, there were two DNA samples
22 distributed to 29 laboratories, that antigens

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 covered are listed on the screen. In this
2 case we know there were 496 tests performed.

3 Only three were clearly incorrect results,
4 which brings the error rate down to less than
5 one percent.

6 So it would appear that some of
7 the recommendations that came out of the
8 first workshop have been extremely helpful in
9 making the testing more reliable.

10 But there was another workshop in
11 September of 2006, immediately prior to this
12 workshop. Forty-one laboratories
13 participated; six samples were distributed.
14 I don't know the total number of tests. The
15 data that were presented were preliminary.

16 There were approximately 52 errors
17 at that time, and the percentage of errors is
18 not known. We also heard a lot of details
19 about the BloodGen Project or the gene chip
20 in Europe.

21 This was a three year project that
22 ended in 2006. Interestingly, it as funded

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 by a group called the Framework of Five of
2 the EU. They put in 2.5 million Euros and a
3 manufacturing company called Progenica put in
4 1 million Euros for the study.

5 They are the company that will be
6 manufacturing the gene chip when it's ready
7 for use.

8 The major goal of their study is a
9 demonstration project to look for a mechanism
10 for high-throughput molecular testing.

11 They did include ABO and D in
12 their study, which appears to have been
13 problematic. when they actually went out to
14 do some of the clinical testing, out of 685
15 samples that were analyzed, 154 of the
16 samples showed a discrepancy between genotype
17 and phenotype.

18 That's an average error rate of
19 22.4 percent and the range between the sites
20 was as low as 10.9 percent, which is still
21 awfully high, to as high as 40 percent. They
22 don't know entirely what these errors were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 due to.

2 They're looking into whether or
3 not it was DNA quality issue or whether it's
4 software issue. So software is going to
5 prove to be a huge problem as we go to these
6 methods as well.

7 So there are some questions
8 remaining, the biggest one being how much
9 premarket testing is needed to evaluate these
10 methods.

11 The numbers cited for the BloodGen
12 Project are consistent with FDA's draft
13 guidance for field trials, but that guidance
14 was written with serologic methods in mind
15 and has manufacturers using three to 5000
16 randoms, plus known selected variants for ABO
17 and D, and one thousand randoms plus selected
18 variants for all of the other specificities.

19 I suspect that that number is not
20 going to be sufficient for molecular testing
21 because there's not the history behind the
22 methodology.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think we don't know enough about
2 the limitations to adequately inform the user
3 through the package insert. There were
4 problems in the early development and use of
5 monoclonal antibodies and there's a question
6 as to whether or not we can do something to
7 avoid those same kinds of problems.

8 We need to figure out how the
9 technology should be used. Will this be
10 something that the FDA mandate or will this
11 be something that individual laboratories
12 take on voluntarily.

13 Will the use in a blood
14 establishment versus a transfusion service
15 versus a reference laboratory be different?
16 Will it be in every transfusion service?
17 Will it be in every blood center?

18 Should we make it mandatory for
19 manufacturers of reagent red blood cells?

20 Clearly, we're going to need to
21 educate users in how to perform and interpret
22 the tests and how to recognize when something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 needs further investigation, and we need to
2 determine what's going to be the best way to
3 do that.

4 But did we meet our goals?

5 Partially. We have had discussions with one
6 kit manufacturer. It is clear that
7 proficiency testing will be needed, and it is
8 also clear that tests for some red blood cell
9 antigens are closer to marketing than others.

10 ABO and Rh are far too complex at
11 this point. I don't see them coming in to
12 the FDA any time soon, whereas the other
13 antigens listed below appear to be much
14 better defined and much closer to market at
15 this time.

16 And the presenter slides are
17 posted on one CBER Web site and the
18 transcripts for each day are on different Web
19 sites. Both are listed up here. Thank you.

20 DR. SIEGAL: Okay. Any questions?

21 [No response]

22 DR. SIEGAL: All right. Then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 let's move on and begin our discussion of
2 TRALI. I hope at the end of these
3 presentations we'll be able to vote on the
4 "rose of Tralee." And we will be able to
5 elect someone.

6 But we'll start with Alan
7 Williams, PhD, from FDA, to introduce the
8 topic.

9 DR. WILLIAMS: Good morning and
10 welcome to the discussion on transfusion-
11 related acute lung injury, also known as
12 TRALI.

13 I'm just going to provide an
14 overview of the session and most of the areas
15 that I'm going to highlight are going to be
16 developed in considerably more depth by the
17 speakers that will follow.

18 The basic issue for discussion is
19 FDA seeks to be advised whether available
20 scientific data support the development of
21 FDA policies and methods to reduce the
22 incidence of TRALI.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Just very briefly, the TRALI
2 criteria, as focused by a Canadian consensus
3 conference in 2004, clinical criteria defined
4 as acute onset of acute lung injury during or
5 within six hours of transfusion, with
6 clinical evidence of hypoxemia, bilateral
7 infiltrates on a frontal chest radiograph, no
8 evidence of circulatory overload, and
9 importantly, absence of other attributable
10 causes, because acute lung injury itself is
11 not uncommon in the patient population and
12 there are other factors contributing to
13 comorbidity which tends to complicate the
14 diagnosis.

15 The risk per transfusion of
16 morbidity related to TRALI is estimated to be
17 on the order of one in 2500 to one in 5000
18 transfused products. Estimates do vary
19 widely, in part, because of the variability
20 in the clinical definition.

21 TRALI is treatable when recognized
22 with supportive care; however, if not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recognized quickly, it can be fatal and is
2 currently, and has been, for the past three
3 years, the leading cause of post-transfusion
4 fatalities reported to FDA.

5 Shown here is a table outlining
6 reported fatalities for 2004, 2005, 2006.
7 Focusing on year 2006, there were 35 reported
8 TRALI fatalities, constituting 50.7 percent
9 of all of the reports, followed by
10 hematologic incompatibilities with clinically
11 significant antibodies related to some of the
12 detection problems just defined by Sheryl
13 Kochman.

14 ABO incompatibility, bacterial
15 contamination, and instances when a clear
16 cause was not identified, the transfusion
17 could not be ruled out, for a total of 69
18 reported fatalities.

19 Mechanisms of TRALI will be
20 discussed in detail by Dr. Stroncek, but 45
21 to 60 percent of cases appear to be
22 associated with neutrophil-specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibodies, also known as NSA, in the donor.

2 Donor antibodies to HLA class one or class
3 two antigens also have been implicated,
4 although to a lesser extent, and it's known
5 that these allotypic leukocyte antibodies are
6 stimulated both by pregnancy and transfusion
7 and there will be a talk discussing the
8 prevalence of these antibodies and some of
9 the potential origins.

10 Of the 2006 reports, the reporting
11 reflects largely a relationship with
12 components that have a high volume of plasma,
13 with 24 of the cases associated with
14 transfusion of fresh frozen plasma. Six
15 cases were associated with red cell
16 transfusion. While there is a low level of
17 plasma contained in intact red cells, this is
18 a little bit aberrant in this particular year
19 because red cells are not typically the
20 component most frequently associated with
21 TRALI.

22 Two cases were reported with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 single donor platelets or plateletpheresis.
2 Two cases with a combination of red cells,
3 NFFP, in one case red cells plus a
4 cryoprecipitate for plasma.

5 Keep in mind in looking at these
6 numbers, that the denominators vary
7 considerably. Transfusion of plasma occurs,
8 about 4 million transfusions per year, red
9 cells about 14 million per year, and single
10 donor platelets, a little more than 8 million
11 per year.

12 I believe Dr. Benjamin's going to
13 present some odds ratios for some quite well-
14 characterized data within the Red Cross
15 system, which I think will help elucidate
16 some of the relative risks.

17 The Blood Products Advisory
18 Committee discussed TRALI, in depth, in June
19 of 2001, and it was I think a very extensive
20 and interesting discussion, but the general
21 consensus of the group was that due to a
22 focused clinical definition of TRALI, and a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 real dearth of information regarding the
2 underlying mechanisms that cause it, and the
3 factors in the donors that might be related
4 to development of TRALI, the committee did
5 not recommend regulatory interventions at
6 that time to identify donors or donations
7 with an increased risk for producing TRALI in
8 the recipient by a vote of one yes and
9 thirteen no.

10 But it sent a strong message, that
11 there was clearly a defined need for
12 increased surveillance, focusing of the
13 clinical definition and production of better
14 data on which to define future interventions.

15 Importantly, though, following
16 this meeting FDA issued a physician letter to
17 help physicians to understand TRALI, to
18 recognize it and provide the support of care
19 which would help reduce mortality.

20 And this appears to probably have
21 been quite an important public health measure
22 and I think it clearly increased the amount

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of reporting in to FDA leading to an
2 assumption that cases were being recognized
3 on a more reliable basis.

4 There have been some recent
5 observations regarding TRALI, quite a few of
6 them. The suspected hazards of transfusion
7 analysis in the U.K. and a subsequent
8 intervention study, showed that transfusion
9 incidence was five to sevenfold higher
10 following administration of high-volume
11 plasma units, and in an intervention, the
12 U.K. minimized use of FFP in buffy coat-
13 derived platelets from female donors on the
14 basis that multiparous women tend to have
15 higher levels of allotypic antibodies.

16 This was done in the fall of 2003,
17 and preliminary reports indicate that the
18 incidence of TRALI in the U.K. has declined
19 dramatically.

20 There was a consensus conference
21 in 2004 in Canada. This conference
22 introduced standardized TRALI definitions for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 TRALI and possible TRALI, and also provided a
2 recommendation that blood collection agencies
3 assess the value and cost of TRALI
4 interventions, and consider implementing
5 interventions to reduce the morbidity and
6 mortality.

7 Additional observations. A
8 recently published paper by the American Red
9 Cross, which will be presented by Dr.
10 Benjamin today, objectively assessed 550
11 systemwide probable TRALI cases between 2003
12 and 2005, found a strong association with
13 plasma administration in 63 percent of the
14 probable TRALI fatalities, and provided odds
15 ratios related to this product.

16 Plateletpheresis were associated with
17 five of the 38 probable TRALI fatalities or
18 13 percent. Female donors were
19 disproportionately implicated in development
20 of TRALI, and this publication proposed that
21 limiting plasma from female donors might
22 reduce as many as six recipient deaths

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 annually in the Red Cross system.

2 In an association bulletin issued
3 in 2006, the AABB recommended but did not, at
4 this point, make into a standard,
5 interventions related to TRALI, and these
6 interventions that were recommended included
7 that blood collection facilities should
8 implement interventions to minimize the
9 preparation of high plasma volume components
10 from donors known to be leukocyte-
11 alloimmunized or at increased risk of
12 leukocyte-alloimmunization. That blood
13 transfusion facilities should work toward
14 implementing appropriate evidence-based
15 hemotherapy practices in order to minimize
16 unnecessary transfusion.

17 In other words, clearly, some of
18 these interventions may have an impact on
19 supplies of not only plasma but single donor
20 platelets, and that as well as focusing the
21 interventions to have the best benefit, one
22 should look at the use of the products and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 try to optimize that as well, particularly in
2 the plasma arena.

3 And third, blood collection and
4 transfusion facilities should monitor the
5 incidence of reported TRALI and TRALI-related
6 mortality.

7 There are some voluntary
8 interventions being discussed and many in
9 fact have already been implemented among the
10 blood collection community. These include,
11 really going back several years now, since
12 the prior BPAC discussion, deferral of donors
13 who have been implicated in previous TRALI
14 cases, preferential use of male plasma for
15 transfusion, selected donor testing for
16 neutrophil-specific and HLA antibodies.

17 For instance, group AB female
18 donors of plateletpheresis. There's been a
19 focus on review of evidence supporting
20 appropriate use of plasma, and a lot of
21 research has been started regarding
22 mechanisms of TRALI pathogenesis, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prevalence of associated antibodies and other
2 factors, and the role of previous transfusion
3 in white cell alloimmunization.

4 So for the agenda for today's
5 discussion, Dr. David Stroncek's going to
6 lead off with an in-depth discussion of
7 clinical and laboratory aspects of TRALI and
8 this will be followed by Dr. Ravindra Sarode.

9 I'm sorry. David Stroncek's with the
10 National Institutes of Health. Dr. Ravindra
11 Sarode is with the University of Texas,
12 Southwestern Medical Center, and he's going
13 to discuss current use of transfusable
14 plasma.

15 Dr. Steven Kleinman from the
16 University of British Columbia will discuss
17 some very fresh and very useful data derived
18 from the REDS-II LAPS study on HLA and
19 granulocyte antibody prevalence in blood
20 donors, and some of the cofactors.

21 Dr. Richard Benjamin from American
22 Red Cross will discuss the American Red Cross

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 experience with TRALI, and Celso Bianco, Dr.
2 Celso Bianco from America's Blood Centers
3 will discuss America's Blood Centers
4 experience with TRALI.

5 So what we're trying to attain
6 here is not only a scientific review of the
7 field but also a perspective on some of the
8 potential blood supply impacts of potential
9 interventions, and this is an important area
10 for the committee to keep in mind.

11 Questions for the committee
12 Question one.

13 Do current scientific data support
14 the concept that the following interventions
15 will reduce the incidence of TRALI?

16 This includes use of predominantly
17 male plasma for transfusion, the nonuse of
18 plasma for transfusion from donors with a
19 history of prior transfusion, and selective
20 donor screening for anti-neutrophil or anti-
21 HLA antibodies.

22 And then question two.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Based on the available data,
2 please comment on the effect of the U.S.
3 plasma supply of the following same three
4 interventions. Use of predominantly male
5 plasma for transfusion, nonuse of plasma for
6 transfusion from donors with a history of
7 prior transfusion, and selective donor
8 screening for anti-neutrophil or anti HLA
9 antibodies.

10 So that's the end of the
11 introduction. I think we have an outstanding
12 set of speakers assembled for the
13 presentations and I look forward to a very
14 informed discussion.

15 DR. SIEGAL: Are there any
16 questions for Dr. Williams?

17 [No response]

18 DR. SIEGAL: All right. Then
19 let's proceed. Dr. Stroncek.

20 DR. STRONCEK: Thank you. I'm
21 from the Department of Transfusion Medicine
22 at the clinical center at the NIH, and the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 views that I express are those of the
2 presenter and they do not necessarily
3 represent the position of the NIH or the
4 Department of Health and Human Services.

5 And my second disclaimer is that
6 nobody else may have these same views either.

7 TRALI's controversial. So I'm going to go
8 over the definitions of TRALI and the
9 clinical features, and I want to spend some
10 time about what's known about the
11 pathophysiology, including female donors,
12 leukocyte antibodies, leukocyte activating
13 agent, patient factors, and then finally
14 summarize about tests available that people
15 might consider using to screen for donors
16 that would be at risk for causing TRALI.

17 Again, Alan Williams mentioned
18 this, but TRALI clinically has been defined
19 as severe shortness of breath within four to
20 six hours of transfusion, no signs of fluid
21 overload, and pulmonary infiltrates on chest
22 x-ray.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This definition's been around for
2 quite a while and it was interpreted in
3 different ways by various centers, so some
4 consensus definitions were developed.

5 As Alan said, there was a Canadian
6 consensus group but there was also an NHLBI
7 group and the NHLBI defined it as TRALI is a
8 new onset of acute lung injury within six
9 hours of the transfusion of a plasma-
10 containing blood product. Again, it shows
11 bilateral pulmonary infiltrates.

12 They also included a measure of--
13 again, pulmonary artery preclusion pressure's
14 less than 18, or a lack of evidence of left
15 atrial hypertension. And then they also
16 included a measure of change in the
17 oxygenation of arterial oxygen to inspired O₂
18 ratio of less than three hundred.

19 And it's difficult to get blood
20 gases but it's much easier to get hemoglobin
21 oxygen saturation by oximetry, so they also
22 included a fall in oxygenation to less than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 90 percent done room air. And it's also
2 emphasized that this is a clinical diagnosis.

3 There's no need--it's based on what's
4 happening clinically, it's not dependent on
5 tests for antibodies or other patient tests,
6 donor tests.

7 The incidence of TRALI is quite
8 variable. It's anywhere from one to a
9 thousand to one to ten thousand units
10 transfused. I think some of the more recent
11 studies that have looked at this more closely
12 have found the incidence is more closer to
13 one to a thousand transfusions than one to
14 ten thousand.

15 Again, all blood products have
16 been implicated but plasma-containing
17 products such as FFP and platelets tend to be
18 more commonly involved with TRALI.

19 What is interesting is it's been
20 reported that solvent detergent plasma does
21 not cause TRALI. Several years ago, solvent
22 detergent plasma was developed and this is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 made from pooled plasma, and was used in the
2 United States for a while, and it's still
3 used in a few places in Europe, and the
4 places where it's being used have been
5 reported, that it's not associated with
6 TRALI. It's not sure why this is but it's
7 speculated that the pooling of the plasma
8 dilutes out any leukocyte antibodies present
9 in the plasma.

10 Again, the clinical features. The
11 patients usually become dyspneic and hypoxic
12 during the transfusion or shortly afterwards.

13 They may experience fever. They often
14 become either hypotensive or hypertensive,
15 and then the x-ray showed bilateral pulmonary
16 infiltrates. On occasion, an x-ray will show
17 a whiteout type picture.

18 Treatment is usually just
19 supplemental treatment with oxygen therapy.

20 A number of these patients required
21 intubation and mechanical ventilation. If
22 they're hypotensive, they made need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 intravenous fluids or agents to increase
2 blood pressure, often because acute lung
3 injury is often treated with corticosteroids.

4 These patients are given steroids. It's
5 not clear if that makes any difference.

6 Typically, the symptoms resolve
7 with 24 to 48 hours, so usually with
8 supportive care, this resolves fairly
9 quickly. Sometimes the symptoms will even
10 resolve before the diagnosis is fully
11 established. But despite that, the mortality
12 rate of this syndrome is really pretty high.

13 It's been reported to be anywhere
14 from 10 to 50 percent. So it remains a
15 serious problem. So now a couple minutes on
16 the pathophysiology.

17 You've heard that a number of
18 centers are excluding females from donating
19 plasma for transfusion, and why the concern
20 for female, about female donors originally.
21 And this study is about five years old now
22 and it's from a Scandinavian group.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 But this is a prospective,
2 randomized study of plasma from multiparous
3 donors compared to control donors. So they
4 had a 100 ICU patients and they randomized
5 them into two groups. One group got control
6 donor plasma first, followed a couple hours
7 later by a unit of plasma from a multiparous
8 female.

9 The second group was randomized
10 the other way, to get the multiparous donor
11 plasma first, followed in a couple hours by
12 control donor plasma.

13 After each transfusion, blood
14 gases were measured as well as blood
15 pressure, heart rate, temperature, and then
16 they compared. So they were comparing the
17 effects of the control plasma versus
18 multiparous plasma on these variables, and
19 what they found is on blood gases, you'd
20 expect the control plasma, it really
21 shouldn't do anything to oxygenation, and the
22 arterial oxygen to FIO2 ratio didn't change

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with control plasma.

2 However, with multiparous plasma,
3 the oxygenation fell and this suggests that
4 again the multiparous plasma was causing some
5 pulmonary dysfunction.

6 Similarly, you'd expect with a
7 plasma infusion you'd get some volume
8 expansion and blood pressure increase, and
9 with the control plasma that was the case.
10 The mean arterial pressure increased
11 slightly, but that wasn't the case with
12 multiparous plasma.

13 So this data suggests there is
14 something different about multiparous plasma
15 that causes some cardiopulmonary problems.
16 Unfortunately, this group didn't test all the
17 donors for leukocyte antibodies, so it's
18 unclear what caused this. What they did do,
19 though, is they noted transfusion reactions,
20 and out of these 100 patients, there's one
21 case of TRALI and that case was associated
22 with a transfusion of a multiparous donor FFP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unit.

2 They tested that unit for
3 leukocyte antibodies and a granulocyte
4 antibody was found but no HLA antibodies. So
5 this does support that granulocyte antibodies
6 can cause TRALI.

7 They also had four mild reactions.

8 One was just a febrile reaction and that was
9 from a control unit. They had three
10 pulmonary reactions. They didn't meet the
11 criteria for TRALI but the patients did have
12 some shortness of breath.

13 All three of those units were
14 associated with multiparous donors and one of
15 the three units had a granulocyte antibody
16 but not HLA antibodies were detected in the
17 units.

18 There's been a recent study from
19 the Mayo Clinic that looked at TRALI in
20 intensive care unit patients, and this again
21 suggests a role for plasma-rich products.
22 Again, this is a single institution

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 retrospective case-controlled study.

2 What they did is in some of their
3 intensive care units, they looked at all the
4 new cases of respiratory failure within six
5 hours of a transfusion. They identified 24
6 TRALI cases, 25 cases of fluid overload.
7 Then they took and matched, found matched
8 patients in the intensive care unit and they
9 identified 124 of those patients, and they
10 compared the transfusions in the patients
11 with TRALI and the controls, and they found
12 that TRALI patients were more likely to get
13 plasma-rich products and they did an estimate
14 of the volume of plasma they were infused,
15 and the TRALI patients had larger volumes of
16 plasma.

17 These were the primary end points.

18 So then they looked at secondary end points,
19 which would be looking at how many of the
20 plasma donors were female donors, and they
21 had a higher incidence of female plasma
22 donors in the TRALI group. But as they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pointed out, that study really wasn't
2 designed to test this issue. So this is a
3 hypothesis-generating finding and further
4 study should be undertaken for that.

5 Okay. So there's some other
6 studies that point out that female donor
7 plasma may be bad but some of the other
8 presenters will go over those, so I won't
9 mention those. What about specific causes of
10 what people have found that cause TRALI?

11 I first want to go over the data
12 that supports the role for leukocyte
13 antibodies in TRALI, and this issue goes back
14 to the 1950's, and the first real case of,
15 really a good case of TRALI in leukocyte
16 antibodies was reported in 1957, and
17 Brittingham was studying the effects of
18 alloimmunization in blood transfusion, and
19 they infused subjects, three subjects with
20 plasma from--plasma that had leucoagglutinins
21 in. In one patient, they infused 50 mls of
22 blood and they stopped the transfusion. This

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 blood with leukoagglutinins caused vomiting,
2 diarrhea, chills, fever, hypertension,
3 tachypnea, dyspnea, cyanosis and leukopenia
4 within 45 minutes.

5 These are classic symptoms of what
6 we know cause TRALI. The symptoms resolved
7 the next day but they did a chest x-ray and
8 it showed bilateral pulmonary infiltrates and
9 a small pleural effusion and they resolved by
10 two days. So really a classic case of TRALI.

11 I only mention that. In this
12 report, they also transfused two other
13 patients with 250 mls of plasma containing a
14 weaker leukoagglutinin and these patients
15 didn't have reactions.

16 So, again, not all leukocyte
17 antibodies cause transfusion reactions.

18 At this time, they had identified
19 leukoagglutinins but they didn't know what
20 they were. These have been described as HLA
21 antibodies and neutrophil-specific
22 antibodies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Neutrophil-specific antibodies
2 that have been reported to cause TRALI
3 include human neutrophil antigen I, II and
4 III, and both HLA class I and class II
5 antibodies have been associated with TRALI.

6 Again, in the '60s and '70s, there
7 are several case reports of TRALI associated
8 with the transfusion of leukoagglutinins, but
9 you have to remember about case reports--and
10 this is well-summarized by Thompson in 1971--
11 that our case reports suggest that acute
12 pulmonary edema was related to
13 leukoagglutinins but such a relationship was
14 not established, and interestingly enough, in
15 1971, they were suggesting that we should
16 avoid transfusing plasma from multiparous
17 donors.

18 In the 1980's, the idea that
19 leukocyte antibodies causes TRALI was firmly
20 established and a definition of TRALI was, at
21 least the clinical definition we've been
22 using for many years, was by Popovsky and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Moore, and they reported five cases of
2 transfusion reactions or TRALI in 19
3 implicated donors, and one donor in each case
4 had an HLA antibody.

5 They had another case of more
6 patients, two years later. They described 36
7 cases. They found leukocyte antibodies in 89
8 percent of, at least in one donor from 89
9 percent of the cases, and HLA antibodies in
10 65 percent.

11 So this is interesting but they're
12 not controlled studies, and what you have to
13 remember is oftentimes a patient that's
14 getting transfused, they may not just get one
15 product, they may get two red cells, for
16 example, four units of FFP and one unit of
17 platelets.

18 And the incidence of HLA
19 antibodies in any one blood donor has been
20 reported to be 4 to 7 percent. So if you get
21 transfused with 6 or 7 units, just by chance,
22 there may be 20 to 30 percent of the donors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 may have HLA antibodies.

2 So it's really very difficult to
3 interpret what it means to find HLA
4 antibodies in units of blood that cause
5 TRALI, without having a control group, and
6 with neutrophil antibodies it's a little
7 different, because a number of studies have
8 found that less than one percent of blood
9 donors, or even about .1 percent of blood
10 donors have neutrophil antibodies.

11 So if a neutrophil antibody is
12 found in one of these units, it's more
13 suggestive that it is causing TRALI. That
14 said, there is evidence that leukocyte
15 antibodies can cause, does cause TRALI, and
16 there's been a number of reports of the
17 transfusion of both HLA neutrophil antibodies
18 associated with TRALI and leukopenia, and if
19 you're transfusing an antibody and it's
20 caused leukopenia, and then have a
21 transfusion reaction, it's very suggestive
22 that these are all related.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 One case of transfusion of
2 neutrophil antibody to antigen 1B was
3 reported by Yomtovian in 1984. And then
4 there have been three cases of the
5 transfusion of HLA class I and II antibodies
6 causing leukopenia and TRALI, followed by
7 another group of three cases of the
8 transfusion of HLA antibodies causing
9 leukopenia and TRALI.

10 Dr. Fadeyi, in our group, has
11 found that the transfusion of a neutrophil
12 antibody, HNA-2a, causes leukopenia and
13 pulmonary symptoms, shortness of breath, but
14 not TRALI.

15 So there's good evidence that
16 these are all suggestive, that it's not a
17 good thing for the lungs to transfuse
18 leukocyte antibodies.

19 There's been some look-back
20 studies, much like the infectious disease
21 literature, where, if a unit, a donor's blood
22 is implicated in TRALI and you find they have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an HLA antibody, you'll go back and look at
2 the previous transfusions and see how many
3 have been associated with TRALI, and Akopko
4 reported that antibodies to the neutrophil
5 antigen 3a frequently caused TRALI.

6 They had one donor who was
7 involved with 36 previous transfusions.
8 Fifteen of those caused at least a reaction
9 and eight of those were severe, really pretty
10 severe TRALI. So that suggests that this
11 leukocyte antibody was pretty potent in
12 causing a pulmonary reaction.

13 Another group had an antibody with
14 the same specificity from one donor who
15 donated 25 times, but they didn't see any
16 reaction. Again, Fadeyi had an antibody to
17 neutrophil antigen 2a, that donor donated 39
18 times, twelve were associated with reactions,
19 but they were more mild and none with TRALI.

20 In contrast, with HLA, antibodies
21 to HAL class I and II antigens, there have
22 been four reports. The only one that really

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has found TRALI, of these approximately 140
2 units, was a report by Pearl Toy, they had
3 one reaction and it was TRALI. So it looks
4 like the HLA antibodies are slightly less
5 potent in causing transfusion reactions than
6 neutrophil antibodies.

7 There's been a recent report in
8 Vox Sanguinis that supports this. This is a
9 group in Poland that looked at a thousand
10 blood donors. They looked at 633 were
11 previously pregnant women, 410 were male,
12 they tested them all for HLA neutrophil
13 antibodies. No neutrophil antibodies were
14 detected in any of these thousand donors. No
15 HAL antibodies were tested, or found in the
16 males. HLA antibodies were found in 9.8
17 percent of the females.

18 They then went back and looked at
19 approximately 60 females who had HLA
20 antibodies and they had donated 211
21 components, and they found of these
22 components, one of them was associated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 TRALI and that was a red cell from a woman
2 with multispecific antibodies to HLA class I
3 and class II antigen.

4 Again, about one in 200 units with
5 HLA antibodies was associated with TRALI,
6 which is higher than about the one in a
7 thousand to one in ten thousand incidence
8 reported from any unit.

9 Finally, there's animal models
10 that are associated with transfusion of
11 neutrophil antibodies and HLA antibodies with
12 pulmonary injury that is similar to TRALI,
13 and all of these models require not only the
14 antibody but neutrophils must be present, and
15 one of them requires that complement must be
16 present.

17 So what about other factors people
18 have implicated in TRALI? One is Silliman,
19 from Denver, has done a lot of work with
20 bioactive lipids. These are lipids that
21 accumulate in blood products during the
22 storage of cellular blood products.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 What they do is they prime
2 neutrophils. So what do we mean by priming?
3 Is that prime neutrophils, they don't really
4 do anything on their own until they're
5 stimulated with an activating agent, and when
6 they're primed, the response to the
7 activating agent is greater than if a
8 nonprime neutrophil is stimulated, and
9 they've that these prime new factors,
10 specifically the bioactive lipids, they're
11 given to animals before an insult, that they
12 will enhance neutrophil-mediated lung injury.

13 And then they went on to do
14 clinical studies, and there's been
15 prospective and retrospective studies that
16 found a greater level of bioactive lipids in
17 TRALI-implicated units or post-transfusion
18 sera from TRALI patients, when they compare
19 these with controls.

20 Again, these have been small
21 studies and they've been typically single
22 institution studies.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Similar, recently, a soluble CD40
2 ligand has been implicated in TRALI. It's
3 been found that soluble CD40 ligand is
4 released by platelets during storage. This
5 is also a neutrophil priming agent.

6 A number of animal models have
7 shown that CD40 and CD40 ligand system
8 includes acute lung injury in animals, and in
9 this particular study published in Blood,
10 there was a case control study where they
11 compared soluble CD40 ligand levels in units
12 that were implicated in TRALI with control
13 units, and there were higher levels in the
14 TRALI-implicated units. Again, this was a
15 single institution study with a relatively
16 small number of patients involved.

17 Patient factors have also been
18 reported to influence transfusion, TRALI, and
19 Brenda Moore anecdotally reports that he sees
20 more of it at the Mayo Clinic in surgery
21 patients, and Silliman gain had a case
22 control study where they looked at TRALI, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they found TRALI was more likely to occur in
2 hematological patients, patients with
3 hematological malignancies or patients with
4 cardiac disease.

5 So the fact that there's multiple
6 factors that have been implemented in TRALI
7 has led to a so-called "two hit model" for
8 TRALI, and in this model, patient conditions
9 are thought to lead to the activation of
10 pulmonary infiltrates, which leads to
11 sequestration of neutrophils in the lungs.

12 When these neutrophils are stuck
13 on the endothelium in the lungs, they become
14 prime, and then infusion of a leukocyte
15 antibody or a CD40 ligand, or a bioactive
16 lipid, then stimulates these prime
17 neutrophils and they cause pulmonary damage,
18 capillary leak, and pulmonary edema and
19 TRALI.

20 Finally, I want to conclude with a
21 few minutes on possible testing and issues
22 associated with that for TRALI, and what are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the types of things you might want to test
2 for to eliminate TRALI would be HLA
3 antibodies, neutrophil antibodies, bioactive
4 lipids or CD40 ligand. Testing for
5 antibodies would be fairly straightforward,
6 just like we test for many viruses now.

7 We test donor serum and we test
8 them at the time of donation. Testing for
9 bioactive lipids in CD40 ligands is not the
10 same.

11 Because they accumulate during
12 storage, we'd have to test the product and
13 they'd be tested at the time of transfusion.

14 So that makes that a little more
15 complicated.

16 The other issue is for HLA
17 antibodies the techniques are well-
18 established. There's solid phase assays to do
19 it and they're commercially available.
20 Testing for neutrophil antibodies is more
21 difficult. These assays require intact
22 neutrophils and no commercial kits are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701