

1 which were done by the surgeon that performed
2 the initial sternotomy?

3 And the second question was is that
4 I can appreciate the randomization and kind of
5 testing procedure that was carried out and it
6 kind of raised a question in my mind, given the
7 sponsor's presentation, where I believe they
8 indicated that they used a block randomization
9 within center. And was there an examination of
10 the size of that block size in this type of a
11 trial? And maybe the sponsor can come back and
12 address this after lunch.

13 But if a small block size was used,
14 for example, there is the potential for un-
15 blinding and pre-selecting patients to receive
16 the device and control. And was that part of
17 FDA's assessment?

18 DR. XU: Let me answer that second
19 question first. For the second one, you mean
20 the randomization test. You see for us, it was
21 done just as complete randomization.

22 DR. NEATON: So you didn't take into

1 account the blocking?

2 DR. XU: Oh, we take it in the second
3 one. Let me go to the second. The results are
4 shown on this slide that is actually the
5 randomization of the test as each was done
6 actually that is within center randomization.

7 DR. NEATON: And did this test take
8 into account the restrictions on the block size
9 pin center?

10 DR. XU: Yes, correct.

11 DR. NEATON: And what was that block
12 size?

13 DR. XU: You mean the block size?

14 DR. NEATON: For the randomization.

15 DR. XU: Oh, you mean, actually let
16 me -- my understanding is, okay, the
17 randomization is within center, one by one. No
18 block within one center anymore.

19 DR. NEATON: I understood the sponsor
20 to indicate that blocks were used within center
21 for the randomization.

22 CHAIR YANCY: We'll take that answer

1 this afternoon.

2 Dr. Blackstone, I think you had a
3 question.

4 DR. BLACKSTONE: Yes. This goes back
5 to the post-market approval to clarify something
6 that perhaps is not clear to either the FDA or
7 the sponsor.

8 STS data is reliable for in-hospital
9 events, for some centers to four weeks, for zero
10 centers to eight weeks. So the idea that the
11 STS data can be used for up to eight weeks is
12 false.

13 CHAIR YANCY: Thank you for that
14 insight.

15 Are there any other questions? I
16 don't think we had a chance to hear from our
17 industry member, nor our lay person.

18 DR. YAROSS: I don't have any
19 questions at this time or comments.

20 CHAIR YANCY: Well, if I can
21 summarize what I have heard this morning, again,
22 I want to thank the sponsor for a very learned

1 presentation, very thorough. And I thank the
2 FDA for a very solid presentation as well, and
3 the panel members for their questions both to
4 the sponsor and to FDA.

5 The issues that we want to be certain
6 the sponsor addresses at our next opportunity,
7 has to do with any metric of clinical benefit,
8 a focus on transfusion requirements. Give us a
9 re-visit, if you will, of the assessment of
10 adhesion, how exactly was that done? A
11 statement about the study design, vis-a-vis the
12 power calculation, both for the primary endpoint
13 and any safety issues and any additional
14 thoughts you have about the definitions of
15 mediastinitis and the incidence of
16 mediastinitis.

17 Thank you very much. We will
18 reconvene at 1:00 p.m.

19 (Whereupon, at 11:59 a.m., a lunch
20 recess was taken.)

21 CHAIR YANCY: We will now resume our
22 panel discussion of this PMA. As per the norm,

1 the panel has two reviewers, Doctors Blackstone
2 and Hopkins. We will begin with Dr.
3 Blackstone's opening remarks. The panel may ask
4 the sponsor or the FDA questions at any time, as
5 we go forward this afternoon.

6 Before I yield to Dr. Blackstone,
7 just one point of clarification for the panel.
8 There is a new document at your table. That
9 document represents a transcript of comments you
10 will hear later during the open public forum.

11 Secondly, FDA has one slide that they
12 would like to show to answer one specific query.
13 We'd like to do this very quickly and allow just
14 one or two brief questions.

15 MR. HILLEBRENNER: Thank you, Dr.
16 Yancy. There were a couple of questions
17 regarding the slide that we had presented on
18 dissection times earlier. That slide included
19 median dissection times. I believe the question
20 was regarding standard deviations. And this
21 slide does shows the means for the grade three
22 no severe adhesions and then all patients. The

1 standard deviations are shown for the first two
2 categories.

3 I believe the sponsor had included
4 the overall patient means with standard
5 deviations in their presentation earlier. I
6 don't have those on hand necessarily. So, there
7 is an example of that. And we also have
8 information on the confidence interval, as well
9 as a slide to show the distribution of the data,
10 which is why we ended up using the medians in
11 our original presentation.

12 But I'll leave that, in case there
13 are additional questions.

14 CHAIR YANCY: Are there brief
15 questions from the panel regarding what you see
16 before you?

17 (No response.)

18 CHAIR YANCY: Thank you very much.
19 Dr. Blackstone.

20 DR. BLACKSTONE: Some of what I will
21 say may be repetitive of what we have heard this
22 morning, but it perhaps may focus our attention

1 in some summary fashion on this device.

2 First the nature of the clinical
3 problem. As we have heard, although under some
4 circumstances it may be desirable to close a
5 pericardium after a cardiac operation, conduits,
6 grafts, and even compromised human dynamics may
7 preclude doing so. The scaffold of fibrin
8 remains on the anterior surface of the heart on
9 which humeral and cellular processes generate
10 adhesions between it and the surrounding
11 tissues. In particular, retrosternal adhesions
12 of varying density form when the operation has
13 been performed through a sternotomy, the typical
14 surgical approach.

15 If it is necessary to re-operate,
16 these adhesions and scar formation increase
17 complexity of every part of the operation,
18 increase operative time, increase risk of inter-
19 operative adverse events at sternotomy during
20 dissection, during cannulation for systemic
21 perfusion of myocardial protection, and even
22 during the heart operation itself, and increase

1 inter-operative bleeding, leading to increased
2 use of blood products. Thus, for more than a
3 quarter century, various innovations are being
4 tested to make cardiac re-operations easier and
5 safer.

6 Perhaps simplistically, we might say
7 there have been four general approaches to solve
8 this clinical problem. One, a permanent sheet
9 of various materials placed between heart and
10 sternum; two, use of irrigating solutions
11 intended to retard fibrin formation and less
12 adhesions; three, bioresorbable membranes; and
13 four, scaffold for autologous neopericardium
14 regeneration. Walther and colleagues and
15 Sukihara and colleagues recently reviewed
16 progress in developing techniques in all of
17 these areas for facilitating sternal re-entry.
18 And these are being well referenced also by the
19 SyntheMed folks.

20 Briefly in the 1970s and 1980s,
21 permanent sheets of silicon rubber, PTFE and
22 other polymers, as well as xenograft pericardium

1 were introduced for this purpose. By far, the
2 most commonly used product in the past and at
3 present is a PTFE sheet sown into place.
4 However, use of permanent sheets and xenografts
5 takes a little fussing and extra operative time
6 and they have not been widely adopted by the
7 cardiac surgical community. They are perhaps
8 more widely used in neonates, infants, and young
9 children who undergo stage reconstruction of
10 those congenital heart lesions that require one
11 or more re-operations.

12 In the 1990s, various topical
13 solutions were introduced. Some of these were
14 pharmaceuticals directed at reducing fibrin
15 scaffold and reducing inflammatory response.
16 Bioresorbable membranes were also introduced,
17 either as a sprayable film, or as an absorbable
18 membrane with various rates of resorbtion. This
19 is a category into which REPEL-CV fits.

20 To complete the picture, I ongoing
21 experiments and clinical trials that began in
22 the 1990s introduce a scaffold or a matrix on

1 which an autologous neopericardium might form.
2 This technique attempts simultaneously to reduce
3 early adhesion formation and to regenerate a
4 pericardium.

5 Now, there are problems with these
6 approaches well documented. In enumerating
7 these, I hope to form the basis for a lively
8 discussion of what outcomes should be
9 considered in assessing safety of this
10 technology.

11 One, both permanent and temporary
12 sheets are foreign bodies that can themselves
13 incite an inflammatory response, leading at
14 times to encapsulation, obliteration of
15 dissection planes, and dense scar. Anecdotally,
16 Dr. Gosta Pettersson a Scandinavian surgeon now
17 at Cleveland Clinic recalls a clinical trial in
18 the 1990s in Sweden that was stopped prematurely
19 when a bioresorbable membrane was studied and
20 found to incite a severe inflammatory response
21 that resulted in rapid formation of a dense
22 scar, making entry extraordinarily difficult.

1 Needless to say, all the materials that are
2 being used and tested today are ones that
3 surgeons expect will not incite an even worse
4 situation than does unaided healing.

5 Number two, both permanent and
6 temporary sheets may stimulate scar formation on
7 the surface of the heart which, at re-operation,
8 obscures underlying cardiac architecture and
9 structures such as coronary arteries. This was
10 not assessed in REPEL-CV studies.

11 Permanent sheets do not grow. So,
12 when placed in babies, the possibility exists
13 for them to distort surrounding growing tissues.
14 Presumably, this would not be the case for
15 REPEL-CV. Most permanent sheets are opaque, so
16 when they are placed over the anterior surface
17 of the heart, the heart is no longer visible
18 during sternal closure. An advantage of many
19 resorbable membranes such as REPEL-CV is that
20 they are transparent.

21 Five, both permanent and resorbable
22 sheets are sutured to surrounding tissues to

1 prevent their migration. The necessary sutures
2 are foreign bodies, as noted by SyntheMed.

3 Six, not all materials are long-term
4 biocompatible and they require the extensive
5 material testing that REPEL-CV has had to
6 endure. However, the data before us cannot be
7 considered long-term.

8 Seven, above all, the presence of a
9 foreign body, either permanently or temporarily
10 is a nidus for mediastinal infection. Perhaps
11 more than anything else, this has prevent
12 widespread adoption of these products,
13 particularly, given the relative infrequency of
14 re-operation.

15 With that background, we examine the
16 efficacy and safety of REPEL-CV, a bioresorbable
17 membrane intended to reduce occurrence, and I
18 refer that word to incidence, which implies for
19 me per unit time, severity and extent of
20 substernal adhesions in patients undergoing
21 cardiac surgery via sternotomy.

22 Four human trials are being

1 conducted. A short-term randomized, essentially
2 single center pilot trial in adults; a small
3 random trial in neonates requiring staged
4 operations and having planned delayed sternal
5 closure, so that both very early prevention of
6 adhesion formation and later adhesions present
7 at re-operation can be examined; a small open
8 label trial in Europe in neonates undergoing
9 staged re-operations, focused on the re-
10 operation at two to eight months after index
11 operation. And unlike study two, the sponsor
12 did not tell us in the packet if a new piece of
13 REPEL-CV was used if a delayed sternal closure
14 was necessary. They tell us it was not. And
15 four, the multi-center randomized trial whose
16 details you heard this morning. The pivotal
17 trial also is in neonates who are undergoing
18 staged reconstructions so, predictably,
19 required re-sternotomy.

20 From the trial in adults, comes the
21 one contraindication to REPEL-CV. It is not to
22 be used for LVADs. Interestingly, a synthetic

1 neopericardium has been said to facilitate
2 explanting such devices. Movement of the
3 connecting grafts was said to disrupt the REPEL-
4 CV membrane. As we all know, we are entering a
5 new era of temporary and permanent mechanical
6 circulatory support devices, and tomorrow's LVAD
7 may well be a completely intravascular device.
8 Thus, the language of the contraindication needs
9 to be more clearly chosen.

10 Efficacy. Trials two and three show
11 an evolution in grading of adhesions from coarse
12 to finer and a quantitative estimate of the
13 surface area occupied by each grade of adhesion
14 of what is called the investigational site. The
15 extent of which may be open to interpretation,
16 but we have had a couple slides on that this
17 morning.

18 For the pivotal trial, percent of
19 surface area occupied by severe adhesions was a
20 primary endpoint. There is no mention in the
21 materials provided how this endpoint was
22 quantified for each patient, but I surmised it

1 was coarse visual estimate and I think that is
2 now true. The percents in each grade added to
3 100 percent.

4 What we do know without question is
5 that the distribution of values for these four
6 additive grades demonstrated a quite non-
7 Gaussian property. As evidence, the standard
8 deviation of most summary mean statistics is
9 larger than the mean. This was corroborated
10 when the FDA showed us that these probably were
11 closer to U-shaped distributions. Thus, I do
12 not know if this product did or did not meet the
13 predefined 20 percent clinically meaningful
14 difference.

15 Thus, in section seven, table 17,
16 page 38, figure one on page 40, table 2 on page
17 42 are completely un-interpretable by me. True,
18 Wilcoxon tests of differences in medians are
19 given, but is this appropriate test, given the
20 U-shaped distribution and does it address the
21 pre-defined 20 percent reduction?

22 Further, given the additive nature of

1 the scale for adhesions, are independent grade-
2 by-grade analysis analyses of this ordinal scale
3 appropriate as a secondary endpoint? Are there
4 more meaningful methods of analysis?

5 The secondary dichotomous endpoints
6 are perhaps easier to understand. Severe
7 adhesions occurred at a substantially lower
8 frequency in REPEL-CV patients, than in control
9 patients. But what is clear from the data is
10 that REPEL-CV is not a panacea. About a third
11 of the patients still develop severe adhesions
12 and either the same patients, or at least a
13 similar percentage, develop the same fibrous
14 capsule with focal foreign body giant reaction,
15 as is typical of permanent sheets. This is
16 found on page 51 and 52 in Section 7.

17 Perhaps the most perplexing secondary
18 endpoint results are those of dissection time.
19 A reason to use products to reduce adhesions is,
20 in part, to reduce dissection time. Although
21 not commented upon by the sponsor, in patients
22 with either no severe adhesions or severe

1 adhesions, dissection time was systematically
2 longer in the REPEL-CV patients than in control
3 patients. Why was this? The assessment time?
4 Did it include both dissection time and the
5 assessment of the REPEL-CV patients? We don't
6 know.

7 Unmeasured in this trial was inter-
8 operative blood loss which also is an important
9 reason to prevent adhesion formation.

10 Now, safety. These are difficult
11 patients with high expected mortality,
12 complications of preoperative ischemia, with
13 increased risk of enterocolitis and tricky
14 balance of pulmonary and systemic blood flow in
15 the interim between Norwood and cable pulmonary
16 and Fontan procedures. So, it is important to
17 set aside all these well-known predictable
18 complications and focus on the most relevant
19 safety issues, presence of the temporary foreign
20 body in the mediastinum that may harbor
21 infective agents, leading to mediastinitis.

22 Here again, I am confused by the

1 initial data, the adjudicated data, and the raw
2 data. In description of various adverse serious
3 events in Section 9, I think there is definite
4 or possible mediastinal complications in six
5 patients in the REPEL-CV group and four patients
6 in the control group. Now, as I look at these
7 six and four patients, I am struck that it
8 appears as if the mediastinal complications are
9 more severe in the REPEL-CV than the control
10 cases. However, for this study and with FDA
11 agreement, only the most serious mediastinal
12 complication, namely mediastinitis, as defined
13 by a surgical not necessarily a CDC definition
14 was used.

15 Now admittedly, there are more
16 foreign bodies in the mediastinum in these cases
17 than REPEL-CV, so it is important that we have
18 control patients to ascertain this background
19 noise. This can be said of all other
20 complications, which are important to these
21 babies and their parents, but have little or no
22 importance in assessing the safety of this

1 product.

2 Finally, are there other unknowns?

3 Yes. We do not know long-term safety effects
4 that might become evident were this product used
5 for adult cardiac surgery, such as patients
6 receiving biological prosthesis, that will
7 eventually require replacement, if the patient
8 survives long enough.

9 So in my opinion, there is clear
10 incremental benefit of the product, in terms at
11 least of reduced substernal adhesions. I do not
12 understand why this is not being translated,
13 however, into saving dissection time and, in
14 fact, seems to prolong it. The product does not
15 perfectly protect against adhesions. And why
16 this is true probably cannot be ascertained from
17 this sample.

18 Is it safe? We find some
19 mediastinitis and some evidence of mediastinal
20 inflammatory response. Probably it is more
21 nearly equivalent to control patients than is
22 portrayed in the tables. But this is something

1 that should be monitored, including degree of
2 seriousness of the complications.

3 And I think I'll stop there, rather
4 than going into my critique of the post-approval
5 study because we have seen multiple versions of
6 that and perhaps we ought to comment on what the
7 real version is, than the comments I made on it
8 very preliminary.

9 CHAIR YANCY: Thank you, Dr.
10 Blackstone.

11 Protocol now is for the panel to
12 interact with Dr. Blackstone for any points of
13 clarification from his presentation.

14 (No response.)

15 CHAIR YANCY: If there are no
16 questions for Dr. Blackstone, we will then take
17 Dr. Hopkins' comments. And then we'll ask the
18 sponsor to react to both sets of comments.

19 DR. HOPKINS: Thank you, Dr. Yancy.
20 I think you will find that many of my comments
21 will be parallel to Dr. Blackstone, but just
22 kind of looking at it from a slightly different

1 perspective.

2 As a cardiac surgeon who operates
3 primarily on congenital and reconstructive
4 cardiac disease, both in adults and in children,
5 I would agree that the significance of the
6 development of such a product that if it works
7 and if it were safe, would be a very good thing.
8 To reduce adhesions to an insignificant level
9 would likely a priori result in easier surgeries
10 for the patients and the surgeon and better
11 outcomes. However, this study doesn't
12 necessarily give us a perfect device of this
13 type.

14 In terms of the pre-implantation
15 data, it seems very extensive and does appear
16 adequate, but does suggest that there is an
17 element of a foreign body reaction. The
18 proposal suggests that this will reduce
19 complications by reducing misadventures, in
20 other words, entering the heart before you plan
21 on it, and reducing the overall risk of redo
22 surgery.

1 From that standpoint, the choice of
2 hypoplastic left hearts in neonates is a very
3 good choice, for the reasons outlined by the
4 sponsors. There are planned staged surgeries
5 that, over the course of the first year, there
6 will be at least three surgeries planned in
7 these patients. We all know as surgeons that
8 the inflammatory phase of adhesions is at its
9 worse between about three months and three
10 years. Prior to two to three months, they are
11 not a problem. They are not well formed. And
12 as the patient, as was noted by a number of
13 people, as the patient gets beyond three to four
14 years, they begin to mature and become less of
15 a problem.

16 In addition, current mortality rates,
17 as a consequence of the re-operative status
18 alone, for the first re-operation is really much
19 lower than suggested in the proposal and, in
20 most centers, certainly the quality of the
21 centers enrolled in this study, mortality rates
22 due to re-operation alone for the first or

1 second redo is between 0.5 and 1.0 percent, in
2 my experience. And thus, makes this kind of a
3 study with a mortality outcome difficult to
4 power.

5 The suggestion that the STS database
6 provides good control data, I would agree with
7 Dr. Blackstone that that data is good for the
8 in-patient data, but wholly inadequate for
9 anything other than that. The Congenital Heart
10 Surgeon Society has a better database and would
11 be perhaps a better comparison.

12 Now let's talk about efficacy and
13 then I'm going to talk about safety. I think I
14 have less of a problem with the efficacy outcome
15 that was pursued in this study than I perceived
16 from some of the questions. I think that in
17 fairness to the sponsor and to the principle
18 investigators, this is a very difficult study to
19 get your hand on. As has been pointed out, it's
20 very difficult to quantitate adhesions. There
21 is no imaging that can do it. And of course,
22 there are surgeons that all of us know that

1 every case is the worse case they have ever had
2 and then there are surgeons who say this is duck
3 soup. So actually in the zero to three grading
4 system, the only one I really believe is the no
5 adhesions to minimal adhesions. And
6 unfortunately, only three patients fell into
7 that category. So this product clearly does not
8 reduce the problem to zero. If in fact there
9 had been ten or fifteen patients that had
10 essentially no adhesions, that would have been
11 a very, very significant finding and one hard to
12 dispute, even by qualitative assessments.

13 In terms of efficacy, no difference
14 in reentry misadventures were noted. No
15 difference in mortality, although there was a
16 trend to worse mortality in the study group.
17 There was no change in operative time, which
18 reflects, I believe, the fact that we as
19 surgeons have come to other solutions to deal
20 with re-entry into the re-operative mediastinum
21 that are now fairly effective.

22 In terms of safety, there was minimal

1 information that I could discern on the bleeding
2 differences. The mediastinitis issues we have
3 raised and I think is one that, again, I think
4 we have revisit as a panel as to whether
5 mediastinitis or all mediastinal complications
6 were adequately explored.

7 While it is not our job at this panel
8 to rewrite the investigation, one that has been
9 going on for nine years, I did note that there
10 were no systemic inflammatory markers measured,
11 such as c-reactive protein, TGF beta 1, TNF
12 alpha, etcetera, that might have given a handle
13 on differential mediastinal information.

14 The three excess deaths in the
15 intention to treat analysis, there were three
16 more on the REPEL side, if I believe correct,
17 did not seem related to the mediastinitis,
18 although I could not draw a direct line to that.

19 And finally in the labeling issue, if
20 this were to be approved, is there significant
21 or is there adequate data, and I think I would
22 like the panel to think about this and this is

1 what I think about as a surgeon, is there
2 sufficient data for us to suggest that we should
3 leave it to the clinical judgment of every
4 surgeon as to pick the patient for which this
5 would be applied, or are there parameters that
6 we can establish from the extensive amount of
7 work that have been done by these investigators
8 suggests that there are subgroups of patients
9 for whom the risk benefit ratio might favor its
10 use in other patients for whom the benefit would
11 be vanishingly small. And by that I mean,
12 patients for example, coronary patients who, in
13 today's world, who are treated with statins and
14 aspirin starting on the morning after surgery,
15 the re-operative rate within about eight years
16 to ten years is really becoming quite small and
17 intervention is the application of choice for
18 failure. So would coronaries, would
19 bioprosthetic valve patients who have a
20 replacement expectation at say age 50 of 15 to
21 20 years from now, should they receive such a
22 device or should it be reserved for patients who

1 have an expectation or a planned re-operation
2 within say three to four years?

3 I think I'll stop there and raise
4 other issues as we go forward in discussion.

5 CHAIR YANCY: I'd like to thank Dr.
6 Hopkins and Dr. Blackstone for very clear and
7 thorough comments.

8 Again, per protocol, the panel can
9 now pose questions to either Dr. Blackstone or
10 Dr. Hopkins.

11 Let me just raise one question, since
12 both of you commented on outcomes that were not
13 pre-specified as either primary or secondary
14 endpoints, specifically mortality, operative
15 time, and mediastinitis and in fact, mortality
16 was a safety variable. From a pragmatic
17 standpoint, respecting what Dr. Hopkins just
18 commented on about the difficulty of doing this
19 and the unique characteristics of this patient
20 population, are some of the absences in this
21 outcome database preferable to the study size
22 and design or are these considerations that are

1 likely intrinsic to the method itself and really
2 are of significant substance and we need to
3 consider this?

4 DR. BLACKSTONE: Well, as I said in
5 my remarks, I think mortality in this group of
6 patients is so related to the disease itself and
7 to the first stage of the operation, once you
8 get to the second or third stage, there is
9 basically no mortality. So that it relates to
10 the physiology of these patients, which is
11 highly unstable while they are waiting. And so
12 I've really discounted mortality as a meaningful
13 endpoint for this group of patients.

14 CHAIR YANCY: I think that's
15 important information.

16 DR. HOPKINS: Yes, clinically I
17 think, first of all, this is probably the only
18 population that made sense to do this study.
19 But as suggested, the signal-to-noise ratio is
20 extraordinarily high. I would hate to have been
21 the clinical monitor on this study, because
22 every patient is going to have adverse events.

1 The secret to doing this study is really
2 tracking those serious adverse events that are
3 in any way potentially device related. And
4 those are the only ones that I focused on.

5 CHAIR YANCY: Thank you. Dr. Page,
6 please.

7 DR. PAGE: Thank you. Dr. Hopkins,
8 I thought you mentioned something that's
9 interesting and new to me, and that is the time
10 course of maturation of adhesions. And you
11 commented that they really are at their worst in
12 years two to four and then after that they tend
13 to mature and become less of a problem.

14 DR. HOPKINS: Right.

15 DR. PAGE: Did you generate any
16 opinion as to what the effect might be in the
17 two to four year range of having this device in
18 place for where it would be truly potentially
19 active and just the 28 days post-operatively?

20 DR. HOPKINS: The data, of course,
21 does not speak directly to that. But it does
22 seem reasonable to me that if it functions as a

1 barrier and does seem to provide protection
2 against dense fibrous adhesions at eight weeks,
3 three months, six months, which is what you
4 would see in the hypoplastic population, that
5 that benefit should extend out again.

6 But then, is there really any, I
7 mean, we had trouble discerning any real benefit
8 at what should have been the peak conflict time,
9 in which there should have been the most
10 difference. So how far out does that benefit
11 extend is a question that can be debated.

12 CHAIR YANCY: Dr. Domanski.

13 DR. DOMANSKI: Well, you know, I want
14 to thank both the reviewers for a very carefully
15 thought out discussion. I would like to ask Dr.
16 Hopkins, who does a lot of these procedures, you
17 know, this is a way of sort of integrating, how
18 frequently, if this device were available to
19 you, would you use it in these patients, knowing
20 what you know now and what we do?

21 DR. HOPKINS: That's an unanticipated
22 question and may or may not be a fair one.

1 I would probably use this device if
2 I were convinced of its safety, in other words,
3 there was no difference in the safety with or
4 without it, in patients in whom I was convinced
5 that I was going to come back in a relatively
6 short period of time. And that would probably
7 represent -- well, I don't do babies anymore so
8 I concentrate on the older folks. But when I
9 was doing all of it, I would say less than ten
10 percent of the patients.

11 DR. DOMANSKI: Well of course, the
12 pith of my question was to try to get you to
13 integrate the safety data for me.

14 CHAIR YANCY: I'd like for the panel
15 to just recognize that's a speculative answer.

16 Dr. Hirshfeld?

17 DR. HIRSHFELD: Yes. One other
18 safety dimension that hasn't really been
19 discussed, and I think I'd like to hear what our
20 surgical colleagues on the panel think about
21 this, is that two important variables that
22 affect mediastinitis in adult cardiac surgery

1 are the presence of diabetes, which was not
2 present in this population, and the presence of
3 internal mammary artery harvest, which was also
4 not present in this population. And I'd be
5 curious to know whether our surgical colleagues
6 feel that this is an important consideration in
7 trying to generalize these data from children to
8 adults.

9 CHAIR YANCY: Both of those would be
10 variables in an adult population going forward.

11 DR. HOPKINS: Yes. I think that's a
12 good question. I almost added that as a codicil
13 to my answer. I probably, personally, would not
14 use this in a diabetic or anybody else who had
15 a higher risk potential of mediastinitis.
16 Having said that, with the strict insulin
17 control that all of us are now using, we're
18 seeing that even the diabetic is having
19 mediastinitis rates that are approaching one
20 percent, instead of the old two, three, four
21 percent.

22 The neonate is immunocompromised, but

1 as pointed out by my adult cardiac surgical
2 colleague, the mediastinitis rates in neonates
3 and young infants is very very low, even though
4 they are immunocompromised and we leave their
5 chests open for days. So they are a difficult
6 population from the standpoint of the incidence
7 of mediastinitis.

8 CHAIR YANCY: Dr. Somberg.

9 DR. SOMBERG: Well, this question is
10 to either reviewer or the other surgical
11 colleagues on the panel. And that is, that it
12 seems to me that this device and your review
13 suggests that we go from three dense adhesions
14 to two, predominantly. And my concern is that,
15 and sort of what Dr. Page brought out, but and
16 you mentioned, Dr. Hopkins, that it's two to
17 four years or something, but is there any
18 literature that we know what will happen to if
19 you take lesions where the barrier sort of
20 diminishes their severity to go from dense to
21 mildly dense, or moderate, or whatever that
22 intermediate zone, over the prolonged period of

1 time? Could they not coalesce? Could they not
2 continue to mature such that they might become
3 just as severe over time?

4 So what I'm trying to ask you is, is
5 there anything in literature, anything from the
6 data that you saw presented today, that sheds
7 light on this idea that if we move something
8 back from a more severe to a moderate, that time
9 will not correct that factor, like nature often
10 does, and goes from moderate to severe again?

11 DR. HOPKINS: As far as I'm aware,
12 there is no quantitative data, no study that
13 addresses that. I'm not quite sure how it would
14 be studied. In terms of the clinical
15 impressions, there is a difference above and
16 below the diaphragm. Below the diaphragm,
17 adhesions tend to be progressive and get worse
18 over time for reasons I don't know that anybody
19 knows. And above the diaphragm and the chest,
20 adhesions seem to get to be less of a surgical
21 problem. They don't go away, but they become
22 less dense and less inflammatory over time.

1 CHAIR YANCY: Dr. Blackstone, I want
2 to emphasize the points you made about LVADs.
3 I though that was very insightful. I think this
4 LVAD experience described was from 1998 and
5 certainly, in the last nine years, there have
6 been a number of important developments and I
7 would strongly support your statements in that
8 regard.

9 Dr. Neaton.

10 DR. NEATON: I would like to ask Dr.
11 Blackstone, I actually thought the FDA analysis
12 this morning that we looked at that did the
13 randomization test which makes no assumption
14 about the underlying distribution, was very
15 reassuring and kind of still pointed to a rather
16 striking difference in the primary outcome
17 between the two treatment groups. And I
18 wondered if you factored that into your
19 comments?

20 DR. BLACKSTONE: Well, the comments
21 I wrote were prior to coming to this meeting,
22 which is why I said given what we had in this

1 packet, face value of the packet. It wasn't
2 until the FDA that I saw what I thought was the
3 first real analysis of these data.

4 DR. NEATON: Maybe I can just ask a
5 question. Kind of again maybe, given the
6 discussion this morning for both of the
7 reviewers, to what extent should we factor in
8 the lack of blinding that was considered at
9 least for the surgeons that repeated the
10 sternotomy, but also the potential kind of un-
11 blinding that may have occurred just from
12 viewing the second procedure?

13 DR. BLACKSTONE: That's why I was
14 happy to see that the FDA had actually figured
15 that into a multi-variable analysis because that
16 would be one of the things that I would have
17 suggested.

18 Let me go one step further beyond
19 what the FDA did, though, because the FDA still
20 focused on the distribution of values in just
21 the severed group. As you know, we actually
22 have four grades of these that all sum up to 100

1 percent. And I wonder if that might actually be
2 taken further and look at this ordered group of
3 variables with their distribution and more
4 meaningful analysis with all the data, as
5 opposed to just the data in a single grade.

6 DR. NEATON: I would agree with that.
7 I think one analysis that might be useful doing
8 it, although I guess I am convinced personally
9 that the different analyses that were done for
10 the secondary outcomes that would support this
11 would be some type of ordinal regression, which
12 takes into a case the ordinal scale on which
13 this was graded.

14 CHAIR YANCY: So I'll put this in
15 English. We're agreeing that the FDA supports
16 the primary endpoint being a statistically
17 significant outcome. Okay.

18 If there are other questions from the
19 panel, I would like to yield to the sponsor, so
20 they can respond to the reviewers. But I would
21 like for the sponsors to have the input of any
22 remaining questions the panel has.

1 (No response.)

2 CHAIR YANCY: Sponsors?

3 DR. PINES: Eli Pines. What we would
4 like to do is systematically go through the
5 various questions that were raised either in the
6 morning or now and address all the questions.

7 CHAIR YANCY: We have approximately
8 15 to 20 minutes or less. Will that be possible
9 for you?

10 DR. PINES: We're going to try.

11 DR. BACKER: While they are hooking
12 this up, I want to thank the panelists. I'm
13 very impressed with the questions and the
14 analysis.

15 Speaking as a pediatric cardiac
16 surgeon that does take care of these patients,
17 I really would like to go back to the airplane
18 analogy. You know, airplanes don't crash very
19 often, but when they do, it's an absolute
20 catastrophe. And when you operate on a child
21 and you get into massive bleeding with a
22 ventricular fibrillation, that also is an

1 absolute catastrophe. And this, from my
2 personal perspective, this is the reason that I
3 got involved in this study, was the hope that we
4 would be able to find a device that could help
5 prevent these dense severe adhesions that make
6 re-operation on these children occasionally very
7 difficult and lead us to these complications
8 that can lead to the death of these patients.
9 And currently, there is no FDA approved device -
10 - pardon?

11 (Off the record comments.)

12 DR. BACKER: Currently there is no
13 FDA approved device that prevents these
14 adhesions from forming.

15 So, I would like to go back to the
16 problem adhesions and remind you of the 12-year-
17 old patient who had the hole in the aorta
18 required femoral bypass. The surgical planes
19 are obliterated and this is what caused the
20 complications that led to that patients' four
21 week stay in the hospital.

22 Again, our primary effectiveness

1 endpoint showed a reduction in the percent area
2 of severe adhesions from 47 percent to 21
3 percent. Again, speaking personally as a
4 pediatric cardiac surgeon taking care of these
5 patients, if I could achieve this goal in all of
6 my patients, this would be a significant
7 benefit.

8 Phil, do you want to come up and
9 address the statistical analysis?

10 DR. LAVIN: Yes, Philip Lavin. I
11 wanted -- this morning, several points were
12 raised regarding the power of the pivotal
13 study. And of course, this is retrospective
14 power because the trial was planned around the
15 primary efficacy endpoint. But the question was
16 asked, so let's answer it.

17 In terms of the trial power to be
18 able to detect differences, what we have here
19 are four scenarios enumerated here, in terms of
20 what you can do with 71 subjects per group. In
21 the first scenario, if the control group
22 incidence is one percent, then there is 80

1 percent power against a 12 percent alternative.
2 In other words, could REPEL-CV be as high as 12
3 percent versus one percent? That's 80 percent
4 power. You asked. That's what it is.

5 If it was two percent for control and
6 for REPEL-CV, it would be two versus 14. And
7 then it would ramp up to 10 versus 28 and 20
8 versus 41. So this is obviously gross, but it
9 is not as fine as many larger trials that are
10 prospectively planned to accomplish, but this is
11 the answer of what you can do with 71 subjects.

12 The next slide I would like to show
13 you is given that this is a post hoc endpoint,
14 what would be necessary to achieve statistical
15 significance? Statistical significance would be
16 achieved by looking at a Fisher Exact Test,
17 again with two groups, and again a superiority
18 or an inferiority test, however you want to
19 phrase it. The one percent, if the control were
20 one percent and the REPEL-CV were 8.2 percent,
21 that would account for a p-value of 0.05. Or
22 two versus ten, or 10 versus 22, or 20 versus

1 34. And again, that's getting a little bit
2 closer but still, is that adequate? And that
3 remains a question for discussion and it's not
4 one I can immediately answer. But that is what
5 the p-value would be and those are the affect
6 sizes that would be detectable.

7 So with that, I'll skip over this for
8 a moment. That's for future points. Another
9 consideration that I would like to bring up here
10 is, this morning, is the issue of the time that
11 it takes to do the dissections. We saw this
12 morning approximately 25 minutes for each of the
13 two groups overall. No significant difference
14 and attributed in part to the large standard
15 deviation. We have a trial that was not again
16 powered to look at this endpoint. We had one
17 primary. That's the way that you play the game
18 with FDA. You go with a single primary and you
19 power it. You can have secondary endpoints.
20 That's legitimate. But our trial, for what it
21 was, was powered to be able to detect an
22 endpoint with a percent grade three adhesions.

1 A different endpoint, not this one.

2 We talked about this morning that
3 there is no standard technique in the protocol
4 for doing sternal re-entry. We also talked
5 about the variable experience of the surgeon.
6 We also talked about the anatomy and the
7 different centers. Again, all of these are
8 considerations.

9 Now let's try to hypothesize what we
10 might be able to see with this trial, given
11 working with a solid endpoint. Let's grant the
12 following. That when a surgeon would go in and
13 evaluate the patient, the distinction of whether
14 or not a subject had severe adhesions or not, I
15 think that would be something that if we were to
16 have filmed it or we would have photographed it
17 or been able to have had a second pair of eyes
18 looking at it, the reproducibility of that
19 measure would be well above 90 percent.

20 So let's use this endpoint for a
21 moment. Let's consider this post hoc analysis
22 of the adhesion percentages with severe versus

1 not severe. Twenty-four, twenty-five minutes
2 versus fifteen minutes. That p-value, just in
3 taking all of the subjects, REPEL-CV and the
4 controls put together, let's assume the null
5 hypothesis of no efficacy is operating. All
6 right? There we have a ten minute difference.
7 Ten minutes shorter, if you could achieve a
8 patient without severe adhesions.

9 And now let's think about for a
10 moment what we saw this morning. What did we
11 see? We saw 70 percent in the control group
12 having severe adhesions. We saw 30 percent in
13 the REPEL-CV having severe adhesions. Apply
14 those numbers for a moment. Let's just work
15 through on a marginal analysis. You know we
16 don't have enough sample size to be able to
17 dissect that out and you know our standard
18 deviations are large.

19 So let's do a direct rate adjustment.
20 If you go through and you do that adjustment, it
21 would come through and you would conclude that
22 there was approximately a five minute advantage

1 for REPEL-CV that would be expected based on
2 this more solid endpoint of a reduction in the
3 incidence of severe adhesions. That's what the
4 data would show, a five minute reduction.
5 That's what a REPEL-CV has the potential to
6 deliver on. And I think that's a very important
7 point.

8 Now, let's take a look now at another
9 point that was raised. Let's look at the
10 investigators. Let's see if five minutes is
11 even attainable or real. Well, we did this
12 analysis. And this is looking at those who had
13 three or more subjects per group. And there we
14 looked at the mean time, this is the overall
15 population again, restricted to those
16 investigational sites that had three or more
17 patients. And now we're looking at these data
18 and we see it's approximately half of the
19 subjects, 56 in total, 29 in REPEL-CV, 27 in
20 control. And there we see 18 minutes for REPEL-
21 CV and we see 22 minutes, 23 minutes for
22 control. So maybe I just might be on to

1 something, thinking that there is a five minute
2 gain or a five minute expectation of benefit.

3 And so that's what I think is we're
4 really facing. We have the power. We don't
5 have the power in this trial to be able to deal
6 with this endpoint. So what do we do? We deal
7 with direct rate standardization. So I am
8 conjecturing here and I'm offering you my advice
9 as a professional statistician for 30 years that
10 I would contend that down the road, this is the
11 type of result that you might see.

12 And I think that at this point, you
13 know, I would like to turn things over. Before
14 I turn things over about the training on
15 adhesions, I want to address a couple of points
16 about the statistics and what we did here in
17 terms of the analysis.

18 You know, when we do a statistical
19 analysis, to follow GCP we create a statistical
20 analysis plan. We do not un-blind the data to
21 look at the data. We do not look to see if
22 there are zeros and where the zeros occur.

1 Instead, what we try to do is to develop robust
2 statistical methodology that will investigate
3 the results.

4 So what did we do in our statistical
5 analysis plan? What did we do in the document
6 that was submitted to the FDA? Well, we did a
7 t-test. And will the t-test assumptions work?
8 Maybe yes, maybe no, just like the FDA
9 statistician said. But we also did a Wilcoxon
10 test. We also looked at the distributions of
11 the means. And I submit that that is a non-
12 parametric procedure, that is valid for any
13 sample size. And we concluded the same result
14 that we have statistical significance here. We
15 also can do a randomization or a permutation
16 test. The FDA statistician replicated the type
17 of analyses that we did.

18 I would not be here today if I did
19 not believe that the advantage for REPEL-CV was
20 real and in excess of 20 percent. I stand by my
21 conjecture and my belief, and my statements that
22 there is a 26 percent advantage for REPEL-CV.

1 We saw it in sub-groups. We didn't need to
2 result to the multi-varied analyses, but we did
3 that. We also saw a 25 percent advantage.

4 So, correcting for blinding,
5 correcting for sites, correcting for gender,
6 correcting for Norwood, correcting for bypass,
7 all of those results give us the conclusion that
8 REPEL-CV is superior with respect to the primary
9 efficacy endpoint.

10 And I said earlier this morning we
11 had durability. We saw it carrying over to the
12 patient level. We saw it carrying over to the
13 worst degree level. And that I submit is an
14 endpoint that is certainly more solid and
15 quantifiable and reproducible than perhaps the
16 endpoint that you were alluding to this morning
17 that required training and standardization to
18 trust.

19 So with that, let me turn things over
20 to my colleagues to talk about the training for
21 the assessment of adhesions and how we got to
22 this point.

1 DR. O'BRIEN: Again, I'm Jim O'Brien.
2 I'm a cardiac surgeon from Kansas City. In
3 terms of the assessment of the adhesions, as was
4 mentioned this afternoon, the remarks from the
5 panel, there is no pure objective way to measure
6 this. There is no scanning method akin to, you
7 have sometimes an histology or there is an
8 imaging technique where you can grade the
9 adhesions and apply a standardized technique
10 based on technology, in order to say this is
11 one, this is two, this is three. So we're going
12 to be left with the subjective opinion of
13 experienced surgeons.

14 In an attempt to standardize that as
15 best we could, there was training that took
16 place a priori before the study took place. And
17 so all the sites and tall the surgeons were
18 visited and there was training as to what the
19 adhesion scoring system was and that that
20 scoring system was based not just on the
21 appearance, but also on the behavior of the
22 surgeon in terms of what was required to dissect

1 that area.

2 The surgeons were also trained and
3 specifically went to the perimeters of
4 investigational surgical site, so they know
5 exactly what are we're talking about, exactly
6 where the REPEL had been placed.

7 And again, just to look at the
8 cardiac adhesion grading system, it goes from
9 none to severe. But at each step of the way,
10 there was a difference in terms of what action
11 the surgeon must take in order to dissect. And
12 there was a comment made that you know, you can
13 put a knife in a surgeon's hands and he's not
14 going to put it down. But if you're involved in
15 this study and you've had the specific training,
16 then you know what you're supposed to evaluate
17 in this regards.

18 And in terms of myself and the other
19 investigators, you know, we're not into this
20 because we all have a financial interest in the
21 company. We realize that this is a problem and
22 we deal with it every day. And these re-

1 operations, when we operate on these kids, it's
2 very difficult. And the number of re-operations
3 and the risk that these babies face is increased
4 by the presence of these severe adhesions.

5 They paid me to come here today,
6 meaning they paid me to stay in a hotel last
7 night to be away from my kids. But that's not
8 why we all get interested in this. We got
9 interested in this because we're hoping that if
10 there's something that makes these surgeries
11 less risky, and there's nothing out there right
12 now, that that's why we would be involved.

13 Currently, there is three adhesion
14 prevention devices approved by the FDA and
15 available world-wide. This study is typical of
16 the adhesion prevention device studies in
17 regards to size and the endpoints for all these
18 studies were reductions of adhesions. And these
19 adhesions were visually assessed in a similar
20 manner by the surgeons at the time of surgery.

21 The surgeons filled out case report
22 forms at the completion of the dissection of the

1 investigational surgical site, allowing at that
2 time, that while it was fresh in their mind,
3 what the percentages were.

4 Also mentioned this afternoon, you
5 know, it's easy to say if there is zero. Well,
6 I want to also submit that it's easy to say if
7 they're severe. You know what the severe
8 adhesions are. It's really stuck. The
9 structures are welded together. And so this is
10 an endpoint of the percent of patients that had
11 severe adhesions. So either they are there or
12 they are not. It's independent of the area
13 assessment.

14 Here you see in the control group 72
15 percent of the control group had severe
16 adhesions. On the REPEL-CV, only 30 percent had
17 the presence of severe adhesions. So a little
18 bit of a different endpoint, but nonetheless,
19 maybe easier to accept than the estimation of
20 area.

21 DR. BACKER: One of the other issues
22 that came up was regarding the blinding of the

1 evaluators. And I would repeat what Jim said.
2 None of us really had a vested interest in the
3 outcome of this study. And in fact, many people
4 would come up to me and say, oh, how's the REPEL
5 study going? What are you guys finding? I
6 don't know. I don't know. We're not going to
7 know until it's un-blinded because we never know
8 when we're operating on these patients whether
9 or not they got the REPEL device.

10 I had mentioned earlier that, you
11 know, occasionally we saw what we thought might
12 be little tags of tissue related to placement of
13 the device, But I would emphasize that this was
14 because of our hyperacuity regarding these
15 patients and that when we operated on these
16 patients, we had no interoperative clues as to
17 whether or not the device had been applied,
18 except that in some cases, we saw remarkably few
19 adhesions and we would speculate maybe this was
20 one of the patients that got the device. But
21 there was no interoperative clue, there was no
22 remnants from the Vicryl suture that would tell

1 us that there was something, a key that there
2 was or a clue that there had been a REPEL placed
3 at the time of the initial procedure.

4 A quick comment also about the masked
5 versus the unmasked evaluation. Again, I said
6 in my opening comments that many of our centers,
7 our center, major center, we only have two
8 cardiac surgeons, if one person is out of town
9 and the child is scheduled for their
10 bidirectional blend and it's five months, it is
11 very difficult to reschedule that operation if
12 the surgeon was not available. But the surgeon
13 that was doing the evaluation, let's say it was
14 me doing the evaluation and I had done the
15 original REPEL, we had no system to know that
16 that patient had received REPEL and, you know,
17 in that six month time period, I may have done
18 100 or so open heart operations. I did not
19 remember whether or not these patients received
20 the device or not. Now, you can either believe
21 that or not but we did not focus on keeping
22 track of these patients. So even if we were

1 unmasked, it didn't necessarily mean that we
2 were un-blinded and knew that the patient had
3 received the REPEL device.

4 We did this post hoc analysis to look
5 at the difference of the evaluations of the
6 masked versus the unmasked, versus the total
7 intention to treat and the difference in the
8 percent of severe adhesions between control and
9 REPEL-CV. And again, in this post hoc
10 analysis, there was really no difference between
11 these groups whether the evaluator was masked or
12 unmasked.

13 I also wanted to address quickly
14 again mediastinitis. Dr. Blackstone mentioned
15 that we didn't use the CDC definition of
16 mediastinitis. This is the definition of
17 mediastinitis that we found throughout the three
18 papers that we quoted that had the largest
19 number of patients having treatment for
20 mediastinitis. Again, our review of the
21 literature and I hate to disagree with Richard,
22 but in pediatric populations is between 1.4 and

1 6.7 percent. So I don't think that his
2 statement that children with mediasternotomies
3 have a lower incidence of mediastinitis than
4 adult patients. And in fact, in that chop
5 series, if you remember, 18 of 43 of the
6 pediatric patients had hypoplastic left heart
7 syndrome. And having an open sternum, which was
8 70 percent of our patients, was a nine-fold
9 increase in the risk of mediastinitis. So
10 again, I hate to disagree with you, but the
11 facts are in that paper.

12 CHAIR YANCY: If we can begin to wrap
13 up?

14 DR. BACKER: Sure, I'll wrap up.
15 Thank you very much.

16 CHAIR YANCY: Thank you. Are there
17 focused or specific questions now that can be
18 answered by either FDA or the sponsors? Dr.
19 Katz.

20 DR. KATZ: I was wondering, this is
21 for the sponsor, since you had the pictures of
22 the virgin heart postpericardiotomy, an the

1 patient with adhesions, do you have any pictures
2 of the re-ops in the second sternotomy in the
3 REPEL patients?

4 DR. PINES: We took no pictures
5 throughout the study. Given the complexities of
6 the operating room, as you know better than I,
7 it was just not a setting to capture these
8 pictures. Moreover, in the feasibility studies,
9 we tried to capture those and those pictures
10 were really not very meaningful. So we did not
11 capture any photos.

12 CHAIR YANCY: Dr. Neaton.

13 DR. NEATON: I just want to make
14 certain I understood Dr. Lavin's analysis of the
15 dissection time. So is my take home of this
16 correct that based upon kind of an analysis of
17 dissection times by severity for both groups
18 combined, one might project a five minute
19 difference between treatment and control. And
20 as such, the study that was actually done is
21 under power to detect a five minute difference?

22 DR. LAVIN: That's correct.

1 DR. NEATON: And so, in the subgroup
2 you showed, that was real data. That was not
3 conjecture data.

4 DR. LAVIN: That's all real data.

5 DR. NEATON: Okay. So obviously, the
6 compliment of that subgroup goes in the other
7 direction.

8 DR. LAVIN: Well, no. The five
9 minute advantage is confirmed by that data that
10 I showed.

11 DR. NEATON: Right.

12 DR. LAVIN: So that was --

13 DR. NEATON: But there is another
14 piece of that subgroup which is missing which
15 must go five minutes in the other direction.

16 DR. LAVIN: Yes, I think that what
17 you're describing is that there is another 50
18 approximately patients --

19 DR. NEATON: Right.

20 DR. LAVIN: -- and those are the
21 early, those are the ones with zero or with one
22 or two patients, per se.

1 DR. NEATON: Right.

2 DR. LAVIN: So, you're right. It is
3 subject to potential subgroup analysis caveats.

4 DR. NEATON: Right. Okay, I just
5 wanted to clarify.

6 DR. LAVIN: There is no question of
7 it. But, in the situation where we have small
8 end, we have to try to do something and this is
9 what we're trying to do.

10 DR. NEATON: And just one follow-up
11 question on the blinding, which I think the
12 comments were helpful. Because as you indicated
13 and several, it's a subjective outcome, I don't
14 think there is any argument anymore about the
15 consistency of the different ways of looking at
16 your primary outcome, whether it's via a t-test
17 or a randomization test, or the different
18 components. At least for me, personally, things
19 kind of fit together nicely there in showing a
20 strong signal, no matter which way you look at
21 it.

22 The issue is whether that signal is

1 biased on all these cases. And that gets back
2 to the issues around blinding. And is there,
3 while I respect kind of the fact that the people
4 here were kind of unaware of what was going on,
5 but was the actual treatment recorded in the
6 chart? Were there efforts made by the sponsor
7 to keep the actual assignment from any written
8 record that the surgeon might have upon re-
9 sternotomy to kind of eventually un-blind this?

10 DR. LAVIN: Yes. The sponsor was
11 perfectly diligent to guard that and did not
12 have that recorded on the CRF. They were
13 perfectly diligent in that respect.

14 DR. NEATON: What about recorded in
15 the chart itself?

16 DR. PINES: What happened is just
17 prior to chest closure, the person who was going
18 to be randomizing the patients was given an
19 envelope containing the randomization card. He
20 opened the randomization card and it stated
21 treated or controlled.

22 If it was treated, he applied REPEL-

1 CV. He captured the lot number and the sample
2 number, put it back in the envelope, resealed
3 the envelopes and that's the only record
4 anywhere in terms of the patient treatment.
5 That envelope was maintained closed throughout
6 the study. None of the envelopes were opened
7 until the study was complete and we unmasked the
8 patients -- the randomization. Excuse me.

9 DR. NEATON: Thank you.

10 CHAIR YANCY: Dr. Page.

11 DR. PAGE: I'm not a statistician.
12 I appreciate the input from the expert
13 statistical minds here and I'll be asking Dr.
14 Neaton his perspective on this. We've seen a
15 couple times a slide that showed the difference
16 or the lack of difference in time for dissection
17 and then there are, I think, four bullet points
18 of explaining a way why we're seeing that.

19 On the other hand, and in a
20 randomized trial, shouldn't the randomization
21 have taken care of that? So if there were a
22 difference because of the standard deviation,

1 the breadth of standard deviation, we might not
2 see significance. But given a randomized trial,
3 shouldn't we have seen a similarity in the times
4 or a difference in the times for dissection?

5 Dr. Neaton, am I interpreting that
6 correctly?

7 DR. NEATON: From my point of view, you
8 are. That's the reason I made the point earlier
9 that the issue in the standard deviation is one
10 point that plays into the history of power, but the
11 fact is here, the point estimate is slightly in the
12 wrong direction.

13 And so what I heard Dr. Lavin say is
14 that after looking at their data, they would
15 project a difference in time of five minutes. And
16 given the variability that they observed in this
17 trial, it would have been unlikely, given the
18 sample size, to pick that up. Am I correct?

19 DR. LAVIN: That's correct. Basically,
20 you know, with a trial like this, you can have a
21 five minute advantage and not see it. That's a
22 statistical event. That's what you call the power

1 of the trial. The power for this comparison is
2 approximately 20 to 25 percent, given the standard
3 deviations that we have in the sample size. So it
4 is very possible, under that scenario, for their to
5 be a five minute advantage and still miss it.

6 DR. NEATON: The other related point I
7 can say here, however, is that if this is
8 considered a clinically relevant outcome, then the
9 solution to this problem, of course, would have
10 been to have a greater sample size with which to
11 kind of hone in on that outcome and make it more
12 finite.

13 CHAIR YANCY: We need to do this. We
14 have at our seat a number of predetermined
15 questions from FDA. Everyone should have a
16 document in hand that has five questions.

17 Dr. Zuckerman? I'm sorry.

18 DR. ZUCKERMAN: I'm sorry, Dr. Yancy.
19 Before we begin the question session, Dr. Domanski
20 and others had asked the FDA to do a lunchtime
21 assignment. Also, we would like to show one slide
22 for clarification purposes. I should say the FDA

1 would like to show one slide for clarification
2 purposes.

3 CHAIR YANCY: Please proceed.

4 DR. ZUCKERMAN: Dr. Gerry Gray from the
5 statistics unit will be showing the slide and
6 offering our interpretation.

7 DR. GRAY: Good afternoon. My name is
8 Gerry Gray. I am the Associate Director for the
9 Division of Biostatistics at the CDRH.

10 We just did some calculations over
11 lunch and I wanted to make a few comments about the
12 mediastinitis rates.

13 First of all, what we had here in the
14 trial, as far as we saw was a control rate of 1.4
15 percent. That's one out of 69 patients. In the
16 REPEL arm, it was four out of 73, 5.5 percent. And
17 what really we can say from this trial is the
18 difference of 4.1 percent was observed and the
19 confidence level for that ranges from minus three
20 to positive 12 percent.

21 So, from the evidence that we do have
22 from this trial, the mediastinitis rate for the

1 REPEL arm could be as much as 12 percent greater
2 than in the control arm. But you will also note
3 that that confidence interval spans zero, so we
4 don't, we really can't make a definite conclusion.
5 The study was not powered to detect this kind of
6 difference.

7 So then the question came up about
8 okay, how big of a sort of a post hoc power
9 calculation similar to that done by the sponsor,
10 how big of a trial would we have needed to detect
11 the difference?

12 And so the first thing we did was go
13 through some calculations of supposing that we
14 wanted to detect a difference from a control rate
15 of two percent, where the true rate for the
16 treatment was anywhere from four to eight percent,
17 how big of a sample would we need to have? And as
18 you can see, the sample size is quite large,
19 ranging from several thousand to at least two to
20 four hundred patients. That's to detect
21 differences of two, four, or six percent over and
22 above control-rated two percent. And by detect, I

1 mean, to reject the hypothesis that the rates are
2 the same.

3 Another way to look at this, and the
4 last thing I want to show is what you might want to
5 do is design a study to demonstrate non-
6 inferiority. And that is to demonstrate that the
7 treatment arm is no more than some delta worse than
8 the control arm. And this is probably more
9 appropriate in this case. And we did some
10 calculations for that based on an assumption that
11 the rate in the control and the treatment arm were
12 both two percent and the objective is to show that
13 the treatment arm is no more than some delta
14 percent worse than the control. And those deltas
15 that we have done are four, six, eight, and ten
16 percent. In order to do that with 80 percent
17 power, you would have sample sizes, total sample
18 sizes for both arms, ranging from 400, 200, 140, to
19 102.

20 So depending on the clinical opinion
21 about what constitutes an acceptable delta in a
22 non-inferiority trial, we can say here's the sample

1 size that you would need going forward in a trial
2 to demonstrate non-inferiority. And this is only,
3 the rates of two percent were chosen because that
4 seemed to be a sort of consensus for the
5 mediastinitis rate that we might see.

6 Thank you.

7 CHAIR YANCY: Thank you. We can take
8 one brief comment from the sponsor in the context
9 of this or one brief question from the panel.

10 DR. NEATON: Can I just ask --

11 CHAIR YANCY: Is that a question that
12 we should hear, please?

13 DR. LAVIN: I just wanted to comment
14 these are the sample size calculations that we had
15 presented earlier, and this is basically here, a
16 randomized trial, just as the one that we had.

17 CHAIR YANCY: Dr. Neaton?

18 DR. NEATON: I just wanted to kind of
19 verify that your sample sizes there are the sample
20 size, combined sample size for two groups with
21 equal allocation or sample size per group?

22 DR. GRAY: The total sample sizes that

1 I showed, and I'm trying to get them to come back
2 up, of 400, those are total sample size for both
3 arms combined. And that's assuming equal
4 allocation for the two arms. There is all kinds
5 of, as you know, I mean, we could have allocated
6 them equally or whatever, but this is total sample
7 size, just with the basic assumption that we are
8 going to allocate patients in a randomized trial
9 one to one in the two arms equally.

10 CHAIR YANCY: Thank you very much. We
11 need to proceed with our predetermined questions.

12 Briefly. Microphone, please.

13 DR. WEINSTEIN: Just a brief
14 clarification about dissection times and the
15 question, I think, relates to methodology. The
16 tremendous amount of variables involved in trying
17 to evaluate dissection times for surgeons, the
18 variables are almost immeasurable, depending on how
19 many surgeons you have that you have as many
20 separate techniques. Some surgeons will dissect
21 right through the sternum. Some will dissect going
22 under the sternum, before they divide it. Those

1 timeframes are quite different. Some surgeons will
2 use the saw from the top down or the bottom up.
3 Some will use Metzenbaum scissors, some will use
4 cautery. Depending on the amount of artificial
5 tissue used on these patients, they all receive, or
6 most receive either some form of GORE-TEX or
7 homograft or both, the amount of adhesion formation
8 they will form will be different, depending on who
9 your assistants are, the experience of the surgeon
10 we mentioned. Also any injuries themselves created
11 while entering the patient can add five or ten
12 minutes. This shift one way or the other,
13 considering that the busiest surgeon in the study,
14 in the pivotal study, did eight patients maximum
15 can sway the numbers, I feel, a great degree either
16 way.

17 As well, some patients will go on
18 bypass early in the dissection and some patients
19 will do a maximum dissection before going on
20 bypass. So, I believe that we felt that section
21 times here, while calculated, were relatively
22 statistically meaningless.

1 CHAIR YANCY: We are going to begin the
2 predetermined questions. I want to be certain that
3 everyone on the panel has had a chance to raise any
4 significant question to FDA or the sponsor. Before
5 we go into this, are there any questions? Have we
6 not addressed anybody's concerns?

7 (No response.)

8 CHAIR YANCY: We can go ahead and place
9 the first question up. This is the part of the
10 panel meeting where the discussion is now amongst
11 panel members and FDA and sponsor will not be
12 commenting. So this is amongst us. We are an
13 advisory panel and we are commenting on specific
14 questions that FDA has about this PMA. And our
15 intent is to give them at least some direction and
16 guidance on these questions.

17 Question number one is at your place
18 and it refers to the information in tables 1, 2,
19 and 3. For the second time, I won't read the
20 tables, but I will read the question.

21 "The sponsor collected and provided
22 data on several adverse events that occurred in the

1 pivotal study, including mediastinitis and
2 mortality. Other than for mortality, there were no
3 pre-specified performance criteria or statistical
4 hypotheses for the safety endpoints. A summary of
5 the observed adverse events is shown in Table 1.
6 Table 2 and Table 3 show the incidence of
7 mediastinitis documented during the course of the
8 study," I might add, as per the study definitions,
9 "and after readjudication, respectively."

10 Let me pause for a minute or two and
11 let you peruse Tables 1, 2, and 3 and then we need
12 to answer the following question.

13 "Please provide your interpretation of
14 the safety data collected in the REPEL-CV study."

15 You're a quick reader, Rick.

16 DR. PAGE: Dr. Yancy, just to frame the
17 discussion, my question is, are we specifically
18 addressing the study data or addressing data to
19 support the proposed indication? Because the two,
20 in my mind, are very different.

21 CHAIR YANCY: For this question, and
22 I'll let Dr. Zuckerman comment, my understanding is

1 that we are specifically addressing the information
2 on Tables 1, 2, and 3. Is that correct?

3 DR. ZUCKERMAN: That is correct. And
4 that is why the tables are included with your
5 question one.

6 CHAIR YANCY: Dr. Blackstone?

7 DR. BLACKSTONE: I've already voiced my
8 opinion and that is that the tables may not reflect
9 all the mediastinal issues here. And also the
10 general question that we have even raised this
11 morning, and that is, in a way, it's unfortunate
12 that the adverse events that are directly related
13 to reentry that second time, were not recorded.

14 CHAIR YANCY: Please note Dr.
15 Blackstone's comments.

16 Dr. Somberg?

17 DR. SOMBERG: My opinion is that the
18 data is such, and we've heard discussions of this
19 by both sponsor and the FDA, and I agree with them,
20 is that there is no significant difference between
21 the two groups. With that said, the study, as we
22 also heard, was not powered to be able to show a

1 significant difference in some of these single
2 toxicities. So the panel has to be aware, and my
3 colleagues have to be aware, that we have safety
4 data from the study, but is that safety data enough
5 to generalize to all the re-operation patients in
6 pediatrics, let alone, two adults. And the answer
7 there is probably not conclusively. And the panel
8 has dealt with that in the past.

9 CHAIR YANCY: For each of these of
10 these questions, it is very important that we have
11 input from as many panel members, preferably all
12 panel members. Dr. Domanski?

13 DR. DOMANSKI: You know, I pushed the
14 business of power pretty hard this morning. I have
15 to say though, that in fairness, you know, just in
16 general fairness not just to the sponsor but to the
17 enterprise, you know, one could be very arbitrary
18 in deciding what represents a sufficiently small
19 difference to detect, if you will. So I guess I'm
20 persuaded that at least there is no real safety
21 signal here. I guess the only -- I mean, there is
22 no significant, there is no statistically

1 significant difference.

2 We can argue about how the trial should
3 have been designed and how much difference we ought
4 to be able to see, but there isn't any here.

5 The only thing that troubles me and I
6 would like to go back to your comments if I could,
7 is have we captured, if we have failed to capture
8 the mediastinal complications, I mean, I want to
9 understand the significance of that statement by
10 you. Because here there is no statistically
11 significant safety signal. So can you tell me what
12 you feel we failed to capture?

13 DR. BLACKSTONE: In the case-by-case
14 reports, there are reports of other mediastinal
15 wound infections, some treated by antibiotics and
16 so on. I count six in the REPEL group and four in
17 the other group. What we -- mediastinitis is one
18 end of the spectrum of severity. There are milder
19 ends of that and it seemed to me that if that were
20 graded, one might find that the grade of severity
21 in the REPEL-CV group is a worse grade than those
22 found in the control groups.

1 CHAIR YANCY: Dr. Zahka.

2 DR. ZAHKA: I do think that the safety
3 issue is really the primary issue for that first
4 stage. And I think that there should be concerns
5 about mediastinitis and bleeding. The amazing
6 number of complications that each of these babies
7 have, I think makes it very difficult, unless there
8 is a dramatic effect on safety of this device, to
9 tell anything from other than a very very large
10 study.

11 I'm a little bit concerned that the
12 issue of bleeding may not be totally addressed by
13 this study. And remember I'm a cardiologist, not
14 a surgeon. But my recollection is that most of the
15 babies, when they go back for sternal closure and
16 they have this device placed, are two, three, four,
17 five days out and have actually already stopped
18 their bleeding.

19 So it's not exactly the same as putting
20 in this device into a fresh post-op. So I think
21 we'll be able to conclude from these data that
22 there doesn't seem to be excess bleeding when its

1 used in exactly this way, but perhaps not be able
2 to conclude that there is no excess bleeding if
3 it's used in what may be a more standard way.

4 But I think the real key issue is there
5 are so many complications for this group of babies,
6 that it would probably take an enormous number of
7 patients to tell.

8 CHAIR YANCY: I just want to be certain
9 I get everyone to comment on this issue. I'll come
10 back to Dr. Blackstone.

11 Dr. Hopkins.

12 DR. HOPKINS: I want to thank the FDA
13 for skipping lunch and doing those calculations
14 because I specifically wanted that demonstrated
15 that the effect here would take an enormous study
16 to evaluate. And in fact, Dr. Backer, if we went
17 down to a one percent mediastinal rate, the end
18 would go up to 4,000 or so. So, it's almost
19 impractical to design a study that could factor in
20 that safety. So from that standpoint, I'm
21 convinced that there is no difference in the safety
22 factors that we've seen, as evidenced by this study

1 in its current form.

2 CHAIR YANCY: So we need to continue.
3 We haven't heard from several other panel members.

4 Dr. Katz?

5 DR. KATZ: The only comment I wanted to
6 add with all the discussion about mediastinitis is,
7 I think it's a little bit unrealistic to add in the
8 episodes of mediastinitis after the second
9 sternotomy and relating that back to the initial
10 procedure with an absorbable device and some cause
11 and effect relationship. Obviously it needs to be
12 taken in context. But that's --

13 CHAIR YANCY: So I need to understand
14 your input on this question. Your interpretation
15 of the safety data then are no significant signals?

16 DR. KATZ: Correct.

17 CHAIR YANCY: Okay. Dr. Jeevanandam.

18 DR. JEEVANANDAM: I think, if you look
19 at this from a truly statistics point of view, yes
20 there is no difference. There are some trends.
21 And I think and I reiterate my point that we have
22 been looking at infections, which is mediastinitis

1 but we haven't looked at hematomas and hemorrhage,
2 which on the other tables do tend to again, tend to
3 be higher.

4 Now why one would have a hematoma if
5 the chest were open, and then you go back in after
6 theoretically the bleeding stops, I don't know.
7 And I don't know if those were patients who had
8 primary closure and not have their chest open. And
9 if that's true, then was there a sub-analysis on
10 those patients?

11 It seemed to me that the hematoma and
12 bleeding in the first operation were things that
13 just were not considered and everybody focused on
14 mediastinitis as the primary safety point.

15 CHAIR YANCY: Dr. Weinberger, I haven't
16 heard from you.

17 DR. WEINBERGER: I'm sort of satisfied
18 in a very narrow context. I think that within the
19 population of little babies who need to be operated
20 on because primarily they have hypoplastic left
21 hearts, were incredibly sick, that in that
22 population you're not causing a major increase in

1 morbidity. In that regard, I can buy into the
2 data.

3 I am very uncomfortable about
4 generalizing to a population that doesn't have a
5 high background of comorbidities and expected
6 complications because I think that you would see a
7 very major, you might see a major signal if you
8 took out the background of comorbidities.

9 CHAIR YANCY: Dr. Hirshfeld?

10 DR. HIRSHFELD: I don't have anything
11 to add to what's already been said.

12 CHAIR YANCY: Dr. Yaross?

13 DR. YAROSS: I'll leave the clinical
14 assessment to the clinicians who I think have
15 addressed this fairly thoroughly. But I will point
16 out that in terms of reasonable assurance of
17 safety, one has to consider the implications of
18 trying to do a perfect study in the sample sizes
19 that have been discussed.

20 CHAIR YANCY: Ms. Mottle?

21 MS. MOTTLE: Thank you. I agree that
22 the safety data statistically looks okay but I am

1 concerned about the extrapolations to the general
2 population because of the many concerns being
3 expressed. We're not seeing enough data in other
4 potential complications. We don't know enough with
5 the adult population.

6 CHAIR YANCY: Doctors Blackstone and
7 Neaton, I'll let you have the final word on this
8 question.

9 DR. NEATON: I'll just say that I think
10 there is, as a consequence of the sample size for
11 this study, substantial uncertainty about the
12 safety. And the p-values being not being greater
13 than 0.05 give me no reassurance whatsoever. I
14 mean, the absence of a difference in a p-value in
15 a study like this is meaningless just because of
16 the power issues.

17 And the power arises from two different
18 sources that I think were kind of stated earlier by
19 the reviewers. One is, when you throw all the
20 adverse events together as of where you have the
21 more common events here, there is so much noise
22 relative to signal, you wouldn't expect to see

1 anything. And then for the events that we spent
2 most of the time talking about out there, they are
3 occurring with such low incidence, that one just
4 can't be certain about kind of whether there is a
5 difference or not.

6 So I don't think that we can say there
7 is no evidence here of a safety signal. I think we
8 have to say there is just uncertainty about whether
9 there is a safety issue or not because of the size
10 of the study.

11 CHAIR YANCY: So, Dr. Zuckerman, I
12 think we've heard from all the panel on this first
13 question. And I'm going to attempt to paraphrase
14 what I heard. So don't throw anything.

15 But what I heard was that, in the
16 context of what we've been provided and, I will
17 take Dr. Weinberger's phrase, it is a very narrow
18 context. And I will accept Dr. Neaton's phrase
19 that there is at least some, if not substantial,
20 uncertainty there at least doesn't emerge an
21 overwhelmingly strong safety concern, but there are
22 some issues that are unresolved and we remain

1 tentative about the safety issues. Is that fair?

2 Well, is that acceptable to you, Dr.
3 Zuckerman?

4 DR. ZUCKERMAN: Yes. That's a very
5 helpful summary. In fact later when we read the
6 regulatory definition of safety, I think Dr.
7 Weinberger's gestalt fits in with our standard
8 regulatory definition of safety, which is helpful
9 and you'll be reminded of.

10 CHAIR YANCY: We'll move on to the
11 second question. The second question is on
12 effectiveness. Again, to save time, please look
13 quickly at Table 4. The question that we have to
14 focus on is on the screen in front of us.

15 "Please provide your clinical and/or
16 statistical interpretation of the results of the
17 primary effectiveness endpoint analysis in the
18 entire study population. Please provide your
19 evaluation of the clinical benefit of the device."

20 We will start with Dr. Neaton.

21 DR. NEATON: I guess I just repeat what
22 I said before. I guess I'm convinced about the

1 various ways this has been looked at. I do want to
2 say that I agree with Dr. Blackstone's kind of fine
3 point about the term incidence in the label that
4 maybe the entire term, occurrence or incidence
5 should be struck because, just to point out once
6 again, a relatively small fraction of people had no
7 adhesions.

8 So we're talking about a device that
9 reduces the severity of adhesions clearly by these
10 metrics.

11 CHAIR YANCY: That's very valuable
12 input. I think Dr. Hirshfeld has a comment.

13 DR. HIRSHFELD: Well, I find the data
14 convincing that the extent and severity of
15 adhesions was reduced by the device. And I thought
16 that particularly when we saw the histogram and we
17 saw that there were 40 percent of the patients who
18 received the device who have grade zero to grade
19 one adhesions only, I thought that was fairly
20 compelling data.

21 Where I am still in somewhat of a
22 conundrum is that I don't see any, in the rest of

1 the data, I don't see any benefit to the patient of
2 this particular endpoint finding, that there is,
3 that we've talked about the dissection times. And
4 also if one goes through all the litany of all the
5 other serious adverse advents, if anything they
6 trend in the direction of being more common in the
7 REPEL treated group. And so, I'm a bit in a
8 conundrum to explain why, although we have seen
9 this measurable benefit in the endpoint, we haven't
10 seen that in a benefit of the clinical outcome of
11 the patients.

12 CHAIR YANCY: Other input? Dr.
13 Hopkins.

14 DR. HOPKINS: Yes, I would agree that
15 the effectiveness as defined as a reduction in the
16 amount of adhesions has been proven.

17 I am less concerned about the blinding
18 masking issue, for some of the reasons that were
19 brought up. Knowing cardiac surgeons as I do, our
20 tendency is to be hypercritical. There is nothing
21 in it to, even if you were un-blinded, to be biased
22 in favor of this. So I suspect the data is pretty

1 respectable.

2 At worst, the instrument used is a
3 surgeon satisfaction survey, which is do you like
4 what you just operated on or did you hate opening
5 that chest? And to that extent, that's not an
6 inappropriate survey instrument.

7 CHAIR YANCY: So, so far we have a
8 consistent thought amongst the panel that the
9 effectiveness is best represented as a decrement in
10 the severity of adhesions, not a decrease in the
11 incidence. Is that a consistent thought? Any
12 contrary thoughts to that?

13 Dr. Page was first, I believe.

14 DR. PAGE: I think that's a fair
15 summary, Dr. Yancy. My only concern is that again,
16 that this is specifically focused for this
17 indication in cyanotic infants with anticipated re-
18 operation.

19 CHAIR YANCY: Dr. Somberg.

20 DR. SOMBERG: I agree with that and I
21 would make the statement that I don't think we
22 should expect to see from this small study a

1 clinical benefit. This study was not really
2 designed for that purpose. And maybe it's to the
3 sponsor's detriment that they even measured this
4 dissection time. You shouldn't have done that
5 because it was just not some endpoint that was well
6 thought out and that could be measured that was
7 trained for and that was standardized, like they
8 did that small field.

9 So you know, I think those concerns
10 should be left for potentially a labeling issue
11 that this does reduce the severity of adhesions,
12 but beyond that nothing has been demonstrated might
13 be an appropriate statement.

14 CHAIR YANCY: Dr. Katz, you are next
15 recognized.

16 DR. YAROSS: Yes, I just point out that
17 that is precisely the indication that the sponsor
18 appears to be seeking for the reduction, for
19 reducing the incidence, severity, and extent of
20 post-operative adhesion formation.

21 CHAIR YANCY: Dr. Katz, please.

22 DR. KATZ: I think you have to limit

1 that statement though for the severity of
2 adhesions between the anterior or the plane of
3 where the device was placed in the posterior
4 sternum. Because I know in some barriers that are
5 placed in the plane beneath the barrier can
6 actually be worse. And we really don't have any
7 information about that. So it's really just that
8 very limited area that we could make that statement
9 in.

10 CHAIR YANCY: Just as a point of
11 clarification, if this PMA is approved, then the
12 final language drafted by the FDA will account for
13 the additional issues that you have raised.

14 Dr. Jeevanandam.

15 DR. JEEVANANDAM: There's actually two
16 questions. The first question is about the --

17 CHAIR YANCY: Exactly.

18 DR. JEEVANANDAM: -- primary
19 effectiveness. And I think if you look at just the
20 way they graded the adhesions and looking through
21 all the statistics, yes, they have attained their
22 primary effectiveness.

1 Now, --

2 CHAIR YANCY: So let me truncate your
3 comments there because that's exactly the way I'm
4 thinking about this. So again, what I'm hearing
5 around the table is that the measure of
6 effectiveness, that is a reduction in the severity
7 of adhesions, was met. And we are comfortable with
8 that? So, -- and not the incidence. And I respect
9 that.

10 So let's now begin to deliberate on the
11 clinical benefit. Dr. Jeevanandam, if you could go
12 first, please.

13 DR. JEEVANANDAM: They have not
14 demonstrated any clinical benefit in terms of time
15 of dissection, in terms of, I don't think they, in
16 terms of mortality, or bleeding, or anything that
17 has affected their second operation.

18 So yes, it's, in their mechanism, has
19 shown to have decreased adhesions, but without any
20 clinical benefit as they have demonstrated.

21 CHAIR YANCY: Are there other comments
22 regarding this question of clinical benefit? Dr.

1 Hirshfeld you were one of the first ones this
2 morning to address this.

3 DR. HIRSHFELD: I don't have anything
4 additional to say.

5 CHAIR YANCY: You agree?

6 DR. HIRSHFELD: Yes.

7 CHAIR YANCY: Dr. Weinberger?

8 DR. WEINBERGER: I think that I am
9 convinced, not because of the intrinsic strength of
10 the data, I think that I'm convinced really because
11 of the strength of the statistical analysis. I'm
12 particularly perplexed that the weakness of the
13 methodology for gathering this information.

14 As an analogy, I hearken back to the
15 days of coronary angiography before we did
16 quantitation with some sort of objective
17 measurement where intra-observer variability was
18 huge. And I really have a small footnote or a
19 small worry that the methodology where inter-
20 observer variability or even intra-observer
21 variability cannot be in any way measured that we
22 could not possibly see anything other than a major

1 difference.

2 So I think that going forward, some
3 methodology should be garnered to try to have a
4 more robust way of determining endpoints. And I
5 think this might have just as easily been graded
6 one plus two, plus three, plus four, plus, rather
7 than assigning continuous variable numbers to that.
8 That's the way I feel about the precision of the
9 data.

10 CHAIR YANCY: Other comments about
11 clinical benefit from either Ms. Mottle or others
12 that haven't yet spoken?

13 MS. MOTTLE: Nothing more than what has
14 already been said.

15 CHAIR YANCY: Thank you. So, is it
16 fair for me to again paraphrase the answer on the
17 panel's behalf to question two, is to take this as
18 a two-part question. And with regards to the first
19 part of our assessment of the effectiveness
20 endpoint analysis, the panel believes that within
21 the context of what was specified as a primary
22 endpoint, that there is evidence is that is

1 statistically acceptable that the severity of
2 adhesions has been reduced, but we don't accept
3 that the incidence of adhesions has been reduced.

4 Is there disagreement with that
5 comment? Is that acceptable? Dr. Zuckerman.

6 DR. ZUCKERMAN: Okay. I think what
7 you're trying to summarize is that we have three
8 zeros with each p-value and we have statistical
9 significance for a parameter measured. But, as you
10 pointed out, the point of the question was two-
11 fold. Even if there is statistical significance,
12 the FDA would like to hear especially from the
13 operating surgeons that are on the other side of
14 the panel.

15 In the scheme of things, is this a
16 clinically useful result? For example, as the
17 discussion was going on a few minutes ago, if you
18 had babies like this, would you want to use the
19 device and why, given these results? Is it
20 clinically useful?

21 CHAIR YANCY: Our surgical colleagues?
22 Dr. Jeevanandam.

1 DR. JEEVANANDAM: I think if with the
2 decrease in adhesions, for this indication, for a
3 patient who you know you're going to have re-
4 operate in six months and with the results that
5 we've seen in this group of patients, the neonates
6 on Norwood, I think, I would use this device. But
7 I would not extrapolate this to the adult
8 population, with the data that we have.

9 CHAIR YANCY: Dr. Katz?

10 DR. KATZ: The data we have makes it a
11 very hard question to answer. My sense is that it
12 may make it easier to do the sternotomy, however,
13 I would have then thought there would have been a
14 smaller, a decrease in the number of inadvertent
15 enterotomies that occurred, which there wasn't.

16 So that leaves me really in a quandary
17 as to whether I would use the device based solely
18 on this narrow bit of data that we have there. I
19 guess I'm not convinced from this that it
20 significantly reduced, from a functional
21 standpoint, what it would take, or what would have
22 a clinical significant point there.

1 CHAIR YANCY: Dr. Hopkins.

2 DR. HOPKINS: Yes, I think I accept the
3 fact that the reduction in severe adhesions is a
4 priori a good thing. And at some level, it should
5 be reflected in the overall outcomes and
6 specifically, mortality or as was described,
7 serious disastrous re-entry misadventures.

8 The incidence of that, however, is so
9 low, even in the presence of severe adhesions, that
10 to capture that in any kind of statistically
11 meaningful way would take an enormous number of
12 patients. But the logic appeals to me as a
13 surgeon. So yes, there are subgroups of patients
14 that I would, that I might use this in.

15 CHAIR YANCY: Do you need anymore
16 input, Dr. Zuckerman?

17 DR. ZUCKERMAN: No, those were very
18 helpful comments from the operating surgeons.

19 CHAIR YANCY: Let's proceed to question
20 three, please.

21 Again, you have a table to peruse
22 before you. It's Table 5. Hopefully we can

1 address this question fairly quickly, because it
2 simply raises a question about the secondary
3 endpoints. Let me remind you that the main
4 secondary endpoints with a percent of patients with
5 severe adhesions, a percent of patients by worse
6 degree of adhesions, and the mean percent of
7 investigational site by adhesion severity.

8 Our question is to provide our
9 "clinical and/or statistical interpretation of the
10 secondary effectiveness data."

11 Dr. Somberg.

12 DR. SOMBERG: Well, I think this goes
13 back to the study size. And the issue is that
14 right now we don't see a significant signal. Our
15 statisticians has pointed out we probably wouldn't
16 see a statistically significant signal and p-values
17 don't matter here.

18 And I think what I meant when I said
19 earlier that the committee has dealt with this in
20 the past is that when studies are done and there
21 are potential toxicities that haven't been seen,
22 you can ask for further follow-up at a later date

1 but right now, there is no demonstration of a
2 signal that we have to be concerned about. And the
3 reason for that may be that the study was too small
4 or that it may not be there. So we have to, in
5 post-marketing, if this comes to that, that's
6 always an if, you have to ask that there be more
7 surveillance than there would otherwise be.

8 CHAIR YANCY: Trying to achieve some
9 consensus here, I just want to be able to
10 understand the language. So you are accepting the
11 secondary effectiveness data, rejecting it or
12 saying it's not interpretable? You can pass.

13 DR. SOMBERG: No, it not necessarily
14 should be put in those terms. What I'm saying is
15 that we do not see an adversity signal because you
16 have to measure efficacy versus safety. So we
17 don't see something that is adverse that would
18 question the safety here, but we do not have
19 adequate information --

20 CHAIR YANCY: Dr. Weinberger.

21 DR. SOMBERG: -- in regards to the
22 sample size. And when we, you know, you can always

1 speculate on any device, any drug, etcetera, that
2 a large enough sample will show something that
3 hasn't been seen here before. So what I'm saying
4 is we have to be cognizant of that and take that
5 into account when we deal with the issues of
6 surveillance at a later date.

7 But right now, no, there are no
8 secondary signals that would make me concerned
9 about the safety of this device in the secondary
10 analysis.

11 CHAIR YANCY: Let me just remind the
12 panel that this is not about safety on question
13 three. This is about effectiveness. And so we're
14 looking at the secondary endpoints.

15 Dr. Weinberger?

16 DR. WEINBERGER: I think that I voiced
17 my reservations previously. I think that the
18 secondary endpoints by themselves have statistical
19 problems. They have been pointed out both by the
20 sponsor and by the FDA and don't, by themselves,
21 make the argument convincingly. And as we've
22 already said on multiple occasions, the clinical

1 benefit of these is far from clear in the context
2 of the study and arguable, I think, by the clinical
3 experience of cardiac surgeons.

4 So I think that what we're left with is
5 accepting the primary data that there is a decrease
6 in the severity of adhesions, together with the
7 very clear clinical experience that reduction of
8 severity of adhesions translates to a clinical
9 benefit. That latter point in the logic was not
10 tested in this study.

11 CHAIR YANCY: This specific question
12 again, just to be clear, indicates whether or not
13 we accept the secondary effectiveness data. And
14 let me remind you that the first entry on Table 5
15 indicates that the percent of patients with severe
16 adhesions in the control population was 72.7
17 percent and the percent of patients in the REPEL-CV
18 population with severe adhesions was 30.4 percent
19 in the p-values demonstrated there.

20 Dr. Neaton?

21 DR. NEATON: Well, I just was going to
22 comment again maybe for the record, Table 5 is a

1 whole different ball of wax than Table 6. Table 5,
2 in my mind, is pretty clearly interpretable and
3 supportive of the overall primary outcome. Table
4 6 is un-interpretable from my point of view. And
5 I was very pleased to see the sponsor show the
6 overall results.

7 This is, essentially, an
8 epidemiological investigation that requires a lot
9 more thinking to make any heads or tails out of it.
10 And so, I'm a little disappointed that the only
11 outcome to assess clinical efficacy has been
12 referred to as statistically meaningless. And so
13 what we're left with is these other measure and
14 with uncertain risk against a balanced risk
15 benefit. And so I feel very differently about
16 Table 5 than Table 6.

17 CHAIR YANCY: Thank you very much. Dr.
18 Hopkins.

19 DR. HOPKINS: My response is 3A is
20 arguable, 3B is un-interpretable, 3C is
21 meaningless, and 3D is yes.

22 CHAIR YANCY: And I got all that.

1 There was another hand on this side. Was there
2 not? Dr. Hirshfeld.

3 DR. HIRSHFELD: At the risk of
4 repeating myself, I'm bothered by Table 6. And
5 we've heard a number of proposed explanations for
6 why the data in Table 6 may be less meaningful than
7 they are, but it still seems to me that the
8 randomization procedure should have covered most of
9 the explanations for why, or should have overcome
10 the problems here. And the fact that we have
11 longer dissection times in every subset of the
12 REPEL group, is at complete variance with the data
13 in Table 5.

14 But I think the data in Table 6 are
15 perhaps actually the more important data in terms
16 of clinical effectiveness.

17 DR. NEATON: Let me just say again,
18 that Table 6 would be fine if the comparison was
19 the overall for the device versus control. But if
20 you look across in that table, those comparisons
21 are not protected by randomization at all. And so
22 you're comparing apples and oranges. And I think

1 it's highly problematic.

2 And even going up and down until you
3 kind of understand how time relates to severity of
4 adhesions, there is a number of other potential
5 confounding factors that would explain that
6 relationship. So that that's the reason I said
7 that I just don't know what to make about this
8 table. Perhaps the overall numbers kind of are,
9 kind of generally kind of consistent with the idea
10 that time is related to severity, but it needs a
11 lot more analysis to be sure about it. But going
12 across is what is problematic.

13 CHAIR YANCY: Dr. Katz.

14 DR. KATZ: I think just in the clinical
15 context of how this works, the only thing that you
16 can say from this is that there was less time to
17 dissect the anterior part of the right ventricle
18 from the posterior part of the sternum.

19 However, the remainder of the
20 dissection of adhesions, and I guess maybe I'm not
21 sure when the stopwatch started and stopped, if
22 they were only measuring that segment of time, then