

1 an amiodarone -- this is a major  
2 consideration, and we want to make some  
3 suggestions about this.

4 And too few episodes -- I think  
5 maybe you've already addressed that. The  
6 current guidelines guidance say three episodes  
7 in the past six months, and now we're talking  
8 two. I think that's a very big improvement.  
9 That was one of the things we wanted to talk  
10 about.

11 But even some things like previous  
12 A.F. ablation -- because we get into the idea  
13 that we -- that was raised by Dr. Schoenfeld  
14 again just a moment ago about tools. We would  
15 suggest that the end point should be what  
16 works, because the techniques keep changing  
17 over time, and we'll address that in a moment  
18 as well.

19 So previous ablation -- if we can  
20 include those patients, we would really have  
21 a remarkably more -- a richer pool from which  
22 to select patients.

1                   And there are other difficulties,  
2           too. You've heard a little about this  
3           already. The ACC/AHA/ESC guidelines for  
4           treatment, revised in 2006, elevated ablation  
5           of atrial fibrillation to a secondary  
6           treatment option, and so the -- out in  
7           community this is an expectation.

8                   But the mechanisms of atrial  
9           fibrillation are incompletely understood, such  
10          that exquisite ablation targets well known for  
11          AVRT and AVNRT have not been identified. I  
12          mean, it's not unusual to have one burn and  
13          AVNRT is gone because you have a target, this  
14          little pathway. That's far from that with  
15          atrial fibrillation. Whether that will ever  
16          happen, we're not sure.

17                   But this keeps evolving, and  
18          that's the point. Ablation techniques have  
19          continued to evolve, so that over the course  
20          of a clinical trial we should anticipate that  
21          further evolution will occur.

22                   And right now, in fact, many other

1 outstanding laboratories that have contributed  
2 so much to our understanding such as we have  
3 now are suggesting a stepped approach. And  
4 this changes very often.

5 I mean, if you go past the past  
6 three years that this trial has been going on,  
7 the number of changes in -- advances in -- if  
8 you will, in the ablation technique have been  
9 very, very numerous. Every few months, in  
10 fact, sometimes they have changed.

11 And then the other thing is trying  
12 to find these patients -- Biosense Webster  
13 made a very, very strong effort to do that  
14 with recruitment outreach, patient-directed  
15 outreach with IDE approved direct-to-patient  
16 initiatives in newspaper ads, Internet ads,  
17 opt-in e-mail networks, clinical trial Web  
18 sites, et cetera, to thousands of patients.

19 Then there were physician-directed  
20 also to thousands of cardiologists and other  
21 physicians who were identified by the fact  
22 that they were treating atrial fibrillation

1 patients. Letters came from Dave Wilbur, the  
2 study's principal investigator, opt-in -- and  
3 this was also in the -- in the Chicago  
4 metropolitan area, largely, so -- where Dave  
5 is very well known -- he's well known anyway,  
6 but very well known.

7 It was opt-in mail networks. And  
8 even at the electrocardiology booth -- and the  
9 results -- Biosense Webster spent over a half  
10 million dollars to screen hundreds of  
11 resulting referrals. They enrolled a total of  
12 three patients from this effort, and all those  
13 patients came from the patient-directed  
14 approach. Not a one came from the physician-  
15 directed approach. So difficulties in  
16 enrollment.

17 So we want to start some --  
18 provide some recommendations, and part of what  
19 we'd like to suggest is that perfect is the  
20 enemy of good. I read the guidance document  
21 from the FDA, and I must say that had I been  
22 on that guidance document writing group, I

1 would have written the same sort of thing. I  
2 think it's really very good.

3 But that's where we get to the  
4 notion that perfect or ideal is the enemy of  
5 good, and I think the Biosense Webster  
6 experience maybe speaks for others as well,  
7 shows how really, truly difficult it is to  
8 enroll.

9 So we would suggest greater  
10 flexibility is needed in the atrial  
11 fibrillation IDE study designs, inclusion-  
12 exclusion criteria should permit companies to  
13 tailor them to reflect better the current  
14 atrial fibrillation ablation patient  
15 populations.

16 So for instance -- well, we'll get  
17 to that, again, in a moment. But I'm talking  
18 about being on amiodarone, for instance, is a  
19 very big problem.

20 Recognize that catheters are  
21 tools. Don't use registration studies to try  
22 to answer questions comparing ablation lesion

1 patterns. I think accept the fact that many  
2 people use different approaches. The end  
3 point is the treatment of the patient.

4 So what are our suggestions for  
5 trial modification? Since the techniques of  
6 ablation continue to evolve and are very  
7 likely to continue to evolve, consider  
8 allowing the investigator to use a "whatever  
9 works" approach, the end point being apparent  
10 effective treatment of atrial fibrillation.

11 That is, this is a tool. This is  
12 not testing a single idea of how to treat  
13 atrial fibrillation. We don't have the target  
14 of an accessory connection to ART, AVRT or for  
15 atrial flutter or the slow pathway for AVNRT.

16 And since FDA guidance permits use  
17 of a previously ineffective anti-arrhythmic  
18 agent, consider modifying current restrictions  
19 on use of amiodarone.

20 For instance, if you read the  
21 guidance, it says for a -- or the current  
22 guidance says for a primary effectiveness end

1 point, the FDA recommends the relatively  
2 unambiguous end point of freedom from  
3 symptomatic atrial fibrillation of one year.  
4 This outcome should be in the absence of anti-  
5 arrhythmic drug therapy or, alternatively,  
6 using an anti-arrhythmic drug that was  
7 previously ineffective at a given dose.

8 Well, if you modify the  
9 restriction on amiodarone being six months --  
10 so what if a little amiodarone is on board is  
11 the -- is -- we would suggest that that's an  
12 extrapolation of the idea that maybe ablation  
13 plus a drug is very effective.

14 There are data out there -- in  
15 fact, the first survey, worldwide survey, on  
16 atrial fibrillation demonstrated that 24  
17 percent of the patients who were deemed  
18 effectively treated with atrial -- for atrial  
19 fibrillation by ablation had that success  
20 associated with the need for anti-arrhythmic  
21 drug therapy.

22 So again, trying to make

1 randomization possible -- not so difficult,  
2 and maybe reasonable -- that might be an idea.

3 So other alternatives to consider  
4 -- you've already seen some of that discussed  
5 this morning -- use a decreased burden of  
6 atrial fibrillation post-ablation as an  
7 acceptable end point.

8 Use a patient as their own control  
9 after obtaining appropriate baseline data.  
10 And use more liberal ways for patients to  
11 qualify with enough A.F. episodes per unit  
12 time.

13 I think maybe you've already done  
14 that by saying only two episodes, but I think  
15 the issue is not what's best. The issue is  
16 what works.

17 And especially I noticed all your  
18 -- the FDA presentation talked about a timely  
19 trial, one done in a reasonable period of  
20 time, because even in this current trial, when  
21 you finally reach the target sample size, you  
22 then have to follow them for a minimum period

1 of time, so it makes the trial get very long.

2 DR. YANCY: Thank you, Dr. Waldo.

3 We appreciate your comments.

4 DR. WALDO: Thank you. That's my

5 last line. Thank you.

6 DR. YANCY: I'd like to introduce

7 Dr. Jean-Pierre Desmarais.

8 Let me remind the speakers that

9 there is a monitor on the podium. When the

10 light becomes yellow, you have two minutes,

11 and it would be appropriate to start summing

12 up. And when the light is red, please bring

13 your comments to a conclusion.

14 Dr. Desmarais, if you'll indicate

15 your affiliation, please?

16 DR. DESMARAIS: Good morning. My

17 name is Jean-Pierre Desmarais. I am CryoCath

18 Technologies Inc chief scientific officer.

19 CryoCath Technologies is a

20 Canadian company with headquarters and

21 manufacturing facilities in Montreal. We have

22 approximately 220 employees worldwide. We

1 sell cardio ablation catheters into U.S., E.U.  
2 and selected other countries.

3 We have three PMA-approved  
4 products in USA -- Freezor for the treatment  
5 of AVNRT which we conducted an IDE, Freezor  
6 Xtra and Freezor Max for minimally invasive  
7 cardiac surgery, including treatment of  
8 cardiac arrhythmias.

9 Currently we're running an IDE  
10 trial for A.F. with the A.F. ablation tool box  
11 comprised of Arctic Front for electrical  
12 isolation pulmonary vein, Freezor Max for  
13 thermal triggers and a cryogenic console for  
14 delivery of cryogenic fluid.

15 The Arctic Front trial ablation  
16 catheter is a system for highest level of  
17 safety, multiple redundant system console, and  
18 the entire balloon surface freezes to allow  
19 rapid optimal cryo lesions and ease of  
20 positioning.

21 Our pivotal study design is  
22 randomized controlled trial 221 experimental

1 to control with two groups. The control group  
2 is atrial fibrillation drugs comprised of  
3 propafenone, flecainide and sotalol.

4 The experimental group is  
5 cryoablation plus atrial fibrillation drug,  
6 the same three drugs again.

7 Control failures can crossover six  
8 months and experimental subjects allow -- are  
9 allowed one to three instances in the ablating  
10 period.

11 Our conclusions are paroxysmal  
12 A.F. with patients that had failed one of the  
13 three drugs we mentioned prior for  
14 effectiveness at a minimum dose and two or  
15 more episodes of A.F. and two instances of  
16 ablation and atrium size of five centimeters  
17 or less.

18 Key exclusions criterias are  
19 persistent or permanent A.F., any prior  
20 ablation of the left atrium, amiodarone use in  
21 the last six months prior to ablation,  
22 presence of pacemaker or ICD, cardiac

1 pathology, valve prosthesis and ejection  
2 fraction of less than 40 percent.

3 The follow-up schedule and key  
4 assessment are at one month for safety, three,  
5 six and nine months -- nine months, a  
6 telephone call, and 12 months, weekly and  
7 symptom-driven monitoring with concurrent  
8 compliance monitoring and call-backs, 24-hour  
9 Holter monitoring of baseline, six and 12  
10 months.

11 For safety purposes, we are doing  
12 MRI or C.T. for the pulmonary vein at  
13 baseline, six and 12 months, with additional  
14 assessment for phrenic nerve function,  
15 neurologic events, cognitive function, changes  
16 in quality of life impacts.

17 Key study outcome measures -- the  
18 effectiveness of primary is freedom from  
19 chronic treatment failure, defined as  
20 detectable A.F. after a 90-day blanking  
21 period, and the acute success is defined as a  
22 selection of three or more pulmonary vein for

1 the experimental group, obviously.

2 On the safety side, the primary  
3 end point is made for major atrial  
4 fibrillation events defined as cardiovascular  
5 deaths, key hospitalization, M.I. or stroke  
6 and procedural or CPEs or ablation procedure  
7 events are defined as key device and  
8 procedural status on experimental subject,  
9 again.

10 The trial progress in -- we  
11 enrolled our first patient in October '06  
12 under conditional approval. In April '07, we  
13 had approval for a significant expansion. In  
14 August '07, we had unconditional approval.

15 We have two Canadian centers also  
16 enrolling in the study, and the status --  
17 we're nearing halfway mark for enrollment, at  
18 a rate of enrollment of approximately one  
19 subject per site per month.

20 What are our enrollment issues as  
21 a company, the strain to enroll conversion  
22 rate is highly variable from center to center,

1 ranging from one to 20 percent. Subject  
2 resistance to controlled randomization occurs  
3 but another major difficulty is getting  
4 crossover option and desirability of  
5 cryoablation.

6 Some subjects lost to -- are lost  
7 to entrance refusal and most of our loss of  
8 enrollment are protocol-driven requirements  
9 such as whether they have documentation,  
10 intolerance to anti-arrhythmic drugs, and use  
11 of admiodarone within six months of ablation,  
12 obviously.

13 We'd like to bring to panel some  
14 consideration for discussion, and the first  
15 one is add the acceptance of a two-part safety  
16 assessment which separates out ablation  
17 procedural events, CPE, from long-term disease  
18 and drug events, MAFEs, is innovative and  
19 clinically relevant, we believe.

20 However, there are no concurrently  
21 monitored A.F. IDE studies, and therefore  
22 there are few reliable data on which to base

1 OPC estimates.

2 Existing publications are variable  
3 reporting and monitoring standards in referral  
4 practices and may have significant negative  
5 detection biases for aids.

6 On what should sponsor base their  
7 OPC or performance goals estimate is a  
8 question we have for panel.

9 Second, A.F. ablation studies are  
10 designed with rough estimates key study  
11 parameters which can lead to sample size and  
12 other design errors. Pre-specified interim  
13 analysis together with adaptive methods for  
14 sample size re-estimation allow -- would allow  
15 trials with results exceeding plan estimates  
16 to complete enrollment earlier and trials  
17 found to be underpowered to be expanded.

18 Can new guidance be offered which  
19 encourages and specifies acceptable forms of  
20 interim analysis and adaptive design for  
21 ablation trials?

22 Thirdly, currently conforming

1 study designs randomize against anti-  
2 arrhythmic drug treatment are complex,  
3 combining the difficulties of both drug and  
4 device studies. This leads to non-informative  
5 failures which obscure safety and  
6 effectiveness assessment.

7 Non-informative failures are bad  
8 for everyone. We strongly urge that any  
9 proposed study design changes lead to greater  
10 simplicity and flexibility.

11 Significant changes in guidance  
12 should not be retroactively applied to  
13 previously approved studies as well, as we  
14 feel we could be penalized.

15 Finally, in terms of effectiveness  
16 statistics, key outcome measure in the  
17 recurrence of A.F. is a time event measure  
18 exactly as in A.F. drug trials. The standard  
19 statistic is logarithmic tests or equivalent.  
20 FDA is requiring a test of immense proportions  
21 which is less efficient and less informative.

22 Close clinical follow-up backed by

1 weekly and symptom-driven TTMs with successful  
2 compliance programs give sufficiently detailed  
3 data to allow the use of time-to-event data  
4 for primary hypothesis. We urge the  
5 discussion and resolution of this key issue.

6 In conclusion, CryoCath is  
7 conducting an A.F. guidance conforming pivotal  
8 IDE trial and nearing the halfway mark for  
9 enrollment. Enrollment difficulties exist but  
10 are fairly typical of a randomized controlled  
11 trial device.

12 And these are being resolved by  
13 investigation -- investigator communication  
14 and site-specific intervention and support.

15 Clarity on safety of performance  
16 goals estimate, the use of interim analysis,  
17 simplification of trial design requirements  
18 and establishment of standard outcome  
19 statistical methods would help us complete  
20 further studies. Thank you.

21 DR. YANCY: Thank you very much.

22 Our next speaker is Helen Barold.

1                   Please identify your affiliation.

2                   DR. BAROLD:   Sure.   I'm Helen  
3                   Barold.   I'm the chief medical officer for  
4                   CryoCor, and we're very happy to be here to  
5                   present to you all, and we're very excited to  
6                   say that we have completed enrollment in the  
7                   impossible randomized controlled trial, and  
8                   we're here to tell you a little bit about what  
9                   that trial is and where we stand with it.

10                  So we had our IDE approved on  
11                  August 25th, 2004, and our first patient was  
12                  enrolled on November 24th, 2004.   We have  
13                  actually finished enrollment in this  
14                  randomized clinical trial.   We finished over  
15                  the summer.   We have a one-year follow-up, so  
16                  we expect to fully complete our trial some  
17                  time in the summer of 2008.

18                  Our study hypothesis is that  
19                  cardiac cryoablation specifically with the  
20                  CryoCor system can be as safe and effective as  
21                  medical management for the treatment of  
22                  symptomatic paroxysmal atrial fibrillation.

1                   This is a multi-center study. It  
2                   is conducted exclusively in the United States  
3                   at 24 sites, both academic and private-  
4                   practice sites across the country. It is a  
5                   one-to-one randomization of cryoablation  
6                   versus medical management.

7                   The follow-up is for at least one  
8                   year, and we do allow crossovers and  
9                   retreatments, but that does restart the  
10                  follow-up clock, so that if a medical  
11                  management patient chooses to be crossed over  
12                  into the ablation arm, they are then followed  
13                  for an additional year.

14                  In addition, if there is a  
15                  retreatment on either one of those groups,  
16                  they are also followed for a year. So these  
17                  patients are in the study for quite a long  
18                  time, potentially.

19                  We have a three-month blanking  
20                  period after the initiation of therapy, either  
21                  medical therapy or ablation. The medical  
22                  management is left up to the discretion of the

1 investigator. There are guidelines to  
2 optimize their medical therapy during the  
3 three-month blanking period.

4 The cryoablation protocol -- we  
5 look for primarily pulmonary vein isolation.  
6 We require at least isolation of three veins,  
7 although the investigators all -- are doing  
8 all the veins, and they can do additional  
9 lines if they feel necessary.

10 However, they must use the  
11 cryoablation device. If they use a second  
12 device, it's considered a failure of the  
13 device.

14 Our inclusion criteria is very  
15 similar to what the FDA has recommended -- the  
16 age between 18 and 75. You have to have at  
17 least three documented episodes of symptomatic  
18 paroxysmal atrial fibrillation within six  
19 months prior to randomization, and at least  
20 one of those have to be documented by ECG,  
21 although the majority of them have more than  
22 one documented.

1                   You have to be refractory to at  
2                   least one but not more than three anti-  
3                   arrhythmic medications. We do allow  
4                   amiodarone in our study. If you are on  
5                   amiodarone at the time of enrollment, you need  
6                   to stay on amiodarone. If you are not, you  
7                   are not allowed to be placed on it. That is  
8                   considered a failure.

9                   Obviously, you have to be willing  
10                  to participate in the study, and in addition  
11                  to that, you have to have a therapeutic INR at  
12                  least three weeks prior to randomization for  
13                  those patients that meet the current  
14                  guidelines.

15                  Our major exclusion criteria are  
16                  similar to the other studies -- no significant  
17                  heart disease, no prior ablation for atrial  
18                  fibrillation and/or any left atrial ablations,  
19                  and also, no history of a stroke or TIA.

20                  So in addition to the routine  
21                  follow-up that's done on a -- you know, every  
22                  three-month basis with the clinician, we also

1 weekly event recordings and symptomatic event  
2 recordings. And I can tell you to date that  
3 we have collected over 18,000 event recordings  
4 in our patients.

5 We do ask them if they feel  
6 anything to send it in, so we're having a --  
7 you know, very good compliance and a lot of  
8 event recordings in these patients.

9 We also have a core lab that will  
10 over-read the event recordings and the core  
11 lab is blinded to the treatment arm.

12 In addition to that, all of the  
13 patients, medical management and the ablation  
14 patients, get C.T. scans. They all get C.T.  
15 scans at baseline.

16 The cryoablation therapy patients  
17 will get C.T. scans at three months and six  
18 months. If there's any evidence of pulmonary  
19 vein stenosis, they get additional C.T. scans.  
20 If not, they stop at six months.

21 The medical management patients  
22 get baseline and six months. In addition, we

1 have a core lab, again, blinded to the  
2 therapy, that reads the C.T. scans.

3 Our primary end points -- for  
4 safety, it is the percentage of patients in  
5 the cryoablation group presenting with a  
6 serious adverse event is not greater than 10  
7 percent -- or is not 10 percent greater than  
8 the percentage of patients in the medical  
9 management group presenting with an SAE. We  
10 look at SAEs across the 12 months.

11 The effectiveness end point is the  
12 percentage of patients free from symptomatic  
13 PAF in the cryoablation group is higher than  
14 the percentage of patients free from atrial  
15 fibrillation in the medical management group,  
16 meaning those that got the ablation have less  
17 A.F. than those that have medical management.

18 This is our enrollment by site.  
19 You can see we have a number of sites that  
20 have enrolled across the country, variable  
21 types of sites and variable number of patients  
22 per site.

1                   At this point, I'd like to just  
2                   make one comment before I turn it over to Dr.  
3                   Hugh Calkins to bring up some of the topics  
4                   that we'd like to have you discuss for us.

5                   Number one is that, you know,  
6                   we're very excited to have completed  
7                   enrollment in this trial. We feel that this  
8                   is -- this is really a landmark trial in the  
9                   role of atrial fibrillation therapy. I think  
10                  for the first time we're going to understand  
11                  a lot more about atrial fibrillation in  
12                  general.

13                  We're going to -- we have a  
14                  control group that has weekly event  
15                  recordings, and you know, to date nobody has  
16                  had that. We definitely have studies on the  
17                  medical management of atrial fibrillation but  
18                  nobody's really monitored that closely.

19                  So we're very excited to do this  
20                  trial not only for our company but also for  
21                  the field in general.

22                  At this point, I'm going to turn

1 the discussion over to Dr. Hugh Calkins.

2 DR. CALKINS: Hi. I'm Hugh  
3 Calkins from Johns Hopkins. I'm a consultant  
4 to CryoCor.

5 DR. YANCY: Dr. Calkins, just --

6 DR. CALKINS: Yes.

7 DR. YANCY: -- a point of  
8 clarification. You also are scheduled to  
9 speak on behalf of ProRhythm?

10 DR. CALKINS: ProRhythm, yes.

11 DR. YANCY: And so these comments  
12 are in the context of CryoCor?

13 DR. CALKINS: Yes.

14 DR. YANCY: Thank you.

15 DR. CALKINS: There's three topics  
16 for discussion -- one, as pointed out earlier,  
17 the safety end point. We certainly agree with  
18 the concept that evaluation of device- and  
19 procedure-related major adverse events will be  
20 important.

21 And it also will be important --  
22 it will be very challenging, as was pointed

1 out earlier, interpreting these complication  
2 rates in light of prior published studies  
3 where the data really has been collected in a  
4 very different fashion, as you have heard.

5 The next slide. Let's see. Go  
6 back here.

7 Another issue has to do with the  
8 effectiveness end point. We certainly agree  
9 with the guidelines for efficacy that have  
10 been proposed in the HRS consensus document,  
11 but we're also aware that when this data is  
12 considered that other secondary end points  
13 need to be considered in terms of on drug  
14 success, late success, decreased episodes.

15 I think all of us are aware of the  
16 fact that the published literature probably  
17 tremendously overestimates the true efficacy  
18 of catheter ablation when subjected to weekly  
19 event monitorings. So it's going to be most  
20 interesting and challenging when we try to  
21 interpret the results of these studies,  
22 several of which are, you know, done with

1 enrollment or nearing completion of  
2 enrollment.

3 And then the final, I think,  
4 challenge that we face and everyone in this  
5 field faces is how to deal with retreatments.  
6 The protocol is designed so that if a  
7 retreatment is within two months, it's  
8 considered not a treatment failure.

9 And yet clinical practice is  
10 typically to delay retreatments beyond two  
11 months because we all know about delayed  
12 healing, and there's certainly an inflammatory  
13 phase that can go on for three months or  
14 longer. So this, obviously, is a difference  
15 between how the study was designed and what is  
16 considered best clinical practice to date.

17 So we're, you know, delighted to  
18 have the study done, look forward to analyzing  
19 the results in a year, and it will certainly  
20 be an interesting panel meeting at that time.  
21 So those are just my comments on behalf of  
22 CryoCor.

1                   And if I could move to my next  
2 presentation on behalf of ProRhythm, that I'm  
3 consulting for, and also the co-P.I. of their  
4 definitive trial -- and it's similar to prior  
5 trials.

6                   This is a trial of high intensive  
7 focus ultrasound ablation, or HIFU is how it's  
8 referred to, and it's a balloon-based system  
9 that delivers ultrasound circumferentially  
10 around the pulmonary veins.

11                   And like the other clinical  
12 trials, it's been designed for paroxysmal  
13 atrial fibrillation with a similar end point  
14 and a similar 12-month follow-up for success.

15                   And as was suggested with  
16 Biosense, I want to share with you some data  
17 on the enrollment difficulties in these  
18 clinical trials. And I think as catheter  
19 ablation has moved along and off-label use has  
20 become more common, it's become increasingly  
21 common to enroll patients in randomized  
22 trials.

1                   So to give you some data to think  
2                   about, we looked at our experience between  
3                   January and June of 2007 with the HIFU  
4                   ablation system, and during this time at the  
5                   enrolling centers, there were 1,300 subjects  
6                   screened, 158 of whom were eligible, and 93 of  
7                   whom refused randomization due to an anti-  
8                   arrhythmic drug.

9                   And I think one of the major  
10                  problems with any drug trial is these patients  
11                  come in wanting an ablation, and if they've  
12                  already failed a drug, very few patients want  
13                  to go on and try yet another drug.

14                 And we all know that once you've  
15                 failed your first anti-arrhythmic drug, that  
16                 almost guarantees you you're going to fail  
17                 your second or third anti-arrhythmic drug. So  
18                 the current way drugs are mandated, I think,  
19                 is very cumbersome.

20                 And I think the entire field would  
21                 benefit tremendously from saying if you failed  
22                 a beta blocker or calcium blocker, you could

1 be randomized to ablation or an anti-  
2 arrhythmic agent, and that would give you a  
3 more effective comparator and, I think, help  
4 enrollment a great deal in all of these  
5 trials. But that's just one comment.

6 The top five reasons for screen  
7 failure were either a prior left atrial  
8 ablation -- we're all aware of the fairly high  
9 number of procedures being performed around  
10 the country and the world these days -- the  
11 presence of persistent or longstanding  
12 persistent chronic atrial fibrillation.

13 An important limitation, I think  
14 probably the most important one, is patients  
15 who've appeared, they're interested in the  
16 trial, but they haven't failed a prior anti-  
17 arrhythmic drug, and so that delays entry into  
18 the trial.

19 The fourth problem has been not  
20 willing to be randomized to anti-arrhythmic  
21 drugs, and once a patient's failed and they've  
22 been referred to a center for an ablation,

1           they pretty much want an ablation. And to  
2           tell them they have to go on another drug that  
3           also will almost for sure fail seems a huge  
4           burden to try to impose on our patients.

5                       And finally, the fifth is the  
6           presence of a pacemaker or defibrillator.

7                       So like Biosense, ProRhythm did  
8           the same thing and they developed some  
9           outreach screening where ads were placed, or  
10          radio ads or print ads were placed, in a  
11          number of markets around the country to try to  
12          get calls in to a screening center where a  
13          nurse would read a standardized script and try  
14          to get only appropriate candidates to the  
15          centers that were involved in the trial.

16                      So here was the experience between  
17          June and September. Almost 1,700 patients  
18          were screened at these call-in centers, 181  
19          subjects were referred to the enrollment sites  
20          because they appeared to meet criteria, and 83  
21          patients were ultimately eligible for the  
22          study. Thirty-nine of the eligible subjects

1           were disqualified because they also had not  
2           been treated with a prior Class I or III anti-  
3           arrhythmic drug.

4                        So that, I think, is going to be a  
5           repeated theme that you're going to hear  
6           throughout the morning.

7                        This shows sort of how the pie  
8           chart looks. Again, like kind of Biosense's  
9           experience, you know, you've got to screen an  
10          awful lot of patients to get eligible  
11          patients' participation in these trials.

12                      And then of the patients that were  
13          eligible, the 83 eligible patients, you know,  
14          you end up -- those end up sort of  
15          disappearing rapidly also, and so we ended up  
16          with 22 patients finally being reviewed  
17          actively to participate in this clinical  
18          trial.

19                      So to sort of summarize the  
20          challenge that we're all facing is over 3,000  
21          subjects were screened in the past eight  
22          months. The total enrolled were 41. The

1 percentage of screened patients to enrolled  
2 patients was 1.4 percent.

3 And so we estimate the number of  
4 subjects you need to screen to enroll 240  
5 patients, which is the number needed for the  
6 study, is 17,600, and the estimated minimum  
7 duration of the study would be five years.

8 So as far as our proposal to the  
9 panel and to the distinguished members that  
10 are here today -- is we would certainly  
11 encourage a greater flexibility on the  
12 enrollment criteria, and we certainly would  
13 urge that we drop the need to fail a prior one  
14 Class I or III anti-arrhythmic drugs.

15 I think it's fine to say fail a  
16 beta blocker and calcium blockers to prove  
17 that rate control hasn't worked or you don't  
18 have simple afib, but to have them take  
19 flecainide and then fail that and then try to  
20 say we'll now put you on propafenone seems a  
21 little bit absurd and is a huge barrier for  
22 all of these trials. So I think that would be

1 my strongest recommendation.

2 Also, the limitations of  
3 amiodarone are a problem. And I think by  
4 doing this it will increase the number of  
5 eligible subjects significantly, and it will  
6 also broaden the range of indications for use.

7 So I thank you for your attention  
8 and congratulate you on this meeting.

9 DR. YANCY: I'd like to thank Drs.  
10 Waldo, Demarais, Barold and Calkins for very  
11 appropriate and time-sensitive presentations.

12 We have not yet heard from Burke  
13 Barrett, but we have -- we may have overlooked  
14 you.

15 If you are here -- yes. We don't  
16 have your presentation. Thank you.

17 MR. BARRETT: Thank you, Dr.  
18 Yancy. I don't have slides. I just have a  
19 very brief statement.

20 DR. YANCY: Will you be able to  
21 make a hard copy of that available today?

22 MR. BARRETT: Sure.

1 DR. YANCY: Thank you.

2 MR. BARRETT: Good morning,  
3 members of the advisory panel. My name is  
4 Burke Barrett, and I'm the vice president of  
5 regulatory and clinical affairs for  
6 CardioFocus.

7 I'd like to thank the FDA both for  
8 the initiative made earlier this year to seek  
9 alternative clinical study designs for the  
10 evaluation of percutaneous A.F. devices and  
11 for the opportunity to speak briefly this  
12 morning.

13 CardioFocus is a small, 24-person  
14 medical device company developing a balloon-  
15 based catheter system intended to isolate the  
16 pulmonary veins in the treatment of A.F. We  
17 have no sales and only this one product, and  
18 so the clinical and regulatory environment for  
19 the evaluation of this product is the key  
20 factor we face as a company.

21 Let me describe our experiences to  
22 date. After a very straightforward FDA

1 review, our IDE was approved and we initiated  
2 our first clinical site in February of this  
3 year. Our study is an RCT with an anti-  
4 arrhythmic drug therapy as the control arm.

5 Our experiences with patient  
6 recruitment to date have been very challenging  
7 for a number of reasons, and details have been  
8 provided confidentiality to the FDA for the  
9 panel pack. Enrollment in clinical studies  
10 can in general be challenging, and so we  
11 looked at several factors in order to assess  
12 our enrollment experience.

13 We have recently made some  
14 protocol changes that may improve enrollment,  
15 but in general we believe our enrollment  
16 criteria are similar to most A.F. IDE studies  
17 ongoing as companies are working from the same  
18 FDA guidance as currently being implemented by  
19 the FDA.

20 We have a large number of study  
21 sites, currently 16, and we plan to expand  
22 and add more sites.

1                   Our technology is investigational  
2                   and that may cause some initial reluctance,  
3                   but it seems to be interesting enough to the  
4                   E.P. community and our clinical sites in  
5                   particular to undertake this study.

6                   Our clinical sites are all very  
7                   active in A.F. ablation and have reasonably  
8                   large A.F. ablation case volumes. Our  
9                   clinical study sites report that patient  
10                  reluctance to be randomized to drug after  
11                  already having failed a drug and being  
12                  referred to the ablation center is a primary  
13                  reason for screen failures, even with the  
14                  enticement of possible early crossover to  
15                  ablation once a drug failure occurs.

16                  To date our study sites have  
17                  screened more than 60 candidates to enroll  
18                  each patient.

19                  The average of three ongoing  
20                  studies based on data provided to AdvaMed, an  
21                  industry trade association, shows that about  
22                  55 candidates need to be screened to enroll

1 one study patient. So in order to complete  
2 enrollment in a typically sized study of 200  
3 to 250 patients may mean screening more than  
4 10,000 patients.

5 This is a daunting task for the  
6 clinical study sites. If you extrapolate the  
7 screening experience onto a total of four to  
8 six ongoing plus soon-to-be launched  
9 percutaneous A.F. studies, the enormity of the  
10 patient screening effort in this field becomes  
11 obvious.

12 One company recently reported --  
13 and we heard just a moment ago -- completing  
14 enrollment in an A.F. ablation study that took  
15 almost three years. Based again on data from  
16 the three companies that have ongoing A.F.  
17 studies and provided information to AdvaMed,  
18 we project a similar three-year enrollment  
19 period.

20 When the study initiation process  
21 of around a year post-feasibility study is  
22 added to one-year patient follow-up and one-

1 year post-study to gather data and prepare  
2 regulatory submissions, the current pivotal or  
3 Phase III process for percutaneous A.F.  
4 products is around six years.

5 This is for an acute procedure  
6 that typically lasts four to eight hours, non-  
7 implantable device, and we question if this  
8 meets the spirit of a least burdensome  
9 approach.

10 We evaluated the alternative  
11 clinical study design presented by Dr.  
12 Brockman in January of this year and we are  
13 very encouraged by this FDA effort to seek  
14 alternative regulatory paths to the current  
15 randomization-to-drug route.

16 However, at the time, given the  
17 unknowns of the design details that would  
18 ultimately be acceptable and the potential  
19 issues regarding powering that study, we  
20 decided to keep working on our ongoing trial  
21 as opposed to changing designs and restarting.

22 When we first designed our study,

1 we sought input from a significant number of  
2 E.P.s. We were told by many of them that a  
3 study comparing A.F. ablation and medication  
4 did not make for strong clinical science  
5 because patients that failed a drug are being  
6 randomized to additional drug therapy as the  
7 control.

8           Additionally, as we've already  
9 heard, the complications are not directly  
10 comparable between ablation and drug.

11           The publication of the HRS  
12 consensus statement on A.F. in May of this  
13 year was a significant event. It establishes,  
14 among other things, number one, that ablation  
15 strategies which target the P.V.s are the  
16 cornerstone of most A.F. ablation procedures;  
17 number two, definitions for follow-up and  
18 monitoring guidelines; and three, standards  
19 for reporting outcomes in clinical trials in  
20 Section 12 of the statement.

21           We believe that using the HRS  
22 consensus statement as a basis, reasonable

1 objective performance criteria or performance  
2 goals can be established for the evaluation of  
3 safety and effectiveness of percutaneous A.F.  
4 ablation devices.

5 Single procedure success rates  
6 using a 90-day blanking period and a strict  
7 criterion for failure over a one-year post-  
8 ablation follow-up could be established.

9 Likewise, ablation-related  
10 complication rates or performance goals could  
11 be established based on the literature and  
12 expert clinical opinion. We hope that you  
13 will consider this alternative OPC or  
14 performance-goal approach today.

15 Again, thank you for the  
16 opportunity to share the experiences of  
17 conducting our study today with the panel.

18 Thank you.

19 DR. YANCY: Thank you again.

20 I'd like to thank all the speakers  
21 for your very thorough and pointed  
22 presentations.

1                   We now have about 16 minutes for  
2                   the panel to interact with each of the  
3                   speakers, and I would ask you to direct your  
4                   questions towards the given speaker, if that's  
5                   possible, so that we can have a very efficient  
6                   use of our time.

7                   As I listened to the different  
8                   speakers' presentations, I was struck that not  
9                   all circumstances are associated with a  
10                  failure of the ability to recruit. There's at  
11                  least one trial that is fully recruited and  
12                  results should be available soon.

13                  There's another trial recently  
14                  started that appeared to be 50 percent done.  
15                  And I believe that in Dr. Waldo's presentation  
16                  even the Biosense trial, despite the inertia,  
17                  is accordingly close to completion as well.

18                  We are sensitive to the most  
19                  recent presentations that suggest that there  
20                  are some major issues. And so these are  
21                  things that we've heard.

22                  We also heard intriguingly the

1 concept of adaptive trial designs, being able  
2 to adjust the trial size as we go, perhaps  
3 accounting for significant treatment effect.

4 Then we also heard some comments  
5 about being more flexible with enrollment  
6 criteria, and I think this is a circumstance  
7 where guidelines statements become terribly  
8 relevant; that is, having enrollment criteria  
9 that are in variance with those guideline  
10 statements.

11 So with that intro, let me yield  
12 to the panel to raise questions to the  
13 presenters.

14 Dr. Schoenfeld?

15 DR. SCHOENFELD: Just to reiterate  
16 Dr. Yancy's statement, I'm struck that Dr.  
17 Barold enthusiastically presented a nearly  
18 completed or a completed trial.

19 And looking at things, it struck  
20 me that one of the issues was -- and maybe  
21 there was a head start already happening in  
22 that three-year initiation, and then also the

1 issue of the amiodarone.

2 So a question to her I would ask  
3 is how would you distinguish your trial from  
4 other trials in terms of your ability to  
5 recruit?

6 I am separately struck by the  
7 other issues that have been raised by everyone  
8 -- Dr. Calkins exemplifies experience from a  
9 huge center that does a lot of trials. A lot  
10 of people are after him to get involved in  
11 more than one trial, as he also demonstrated  
12 by his two presentations.

13 And it harkens to a separate issue  
14 that I'm concerned about, which has to do with  
15 how you assure the two issues that FDA wants,  
16 which is safety and efficacy. If you want to  
17 recruit a lot of people, you then get a lot of  
18 centers, some of which may only do five  
19 ablations a year.

20 How do you standardize that? And  
21 I think that that's something else that I have  
22 as a concern for the FDA to address. In other

1 words, should there be centers of excellence  
2 that are doing this as part of the trials?  
3 How do you assure that type of concern?

4 But the first thing I would ask  
5 Dr. Barold is how she thinks that her  
6 recruitment is different from others that --  
7 if she can provide some insight. Or do we  
8 eliminate the drug control entirely?

9 DR. YANCY: Mike on, please.

10 DR. BAROLD: Oh, sorry. I'm Helen  
11 Barold, and I'll answer your question. We  
12 strongly believe that a randomized clinical  
13 trial should be done, and we are proof that  
14 even a small company can complete this trial.

15 We are lucky that we have very  
16 good and motivated investigators. Our  
17 investigators believe in our product and they  
18 believe that this is a good trial, and they  
19 believe that the trial is important for the  
20 field.

21 And that's how we have sold it, if  
22 you will, to our investigators, and they -- so

1           they believe that this is something that  
2           should be done, and they convey that to their  
3           patients and are able to enroll.

4                        It's been slow.  It's been hard to  
5           enroll.  But we've done it.  We have used a  
6           number of sites.  We have 24 U.S. sites.  That  
7           is a lot of sites.  We believe that it is  
8           important to have community sites, academic  
9           sites, high volume private-practice sites.

10                      We do not have any small-volume  
11           afib ablaters.  They have to have met certain  
12           criteria in order to be part of the study.

13                      But you have to remember that when  
14           the device is approved for an A.F. indication,  
15           it's going to be used throughout the  
16           community, and so we feel that it's important  
17           to give the -- whoever will be using the  
18           device an idea of how it's going to be used in  
19           all different types of hands, so -- you know,  
20           the very highly skilled academics and the very  
21           highly skilled private practice guys and  
22           girls.

1                   So I think that the bottom line is  
2                   -- is that we've got good investigators and  
3                   they believe in the study, and that's how we  
4                   finished enrollment.

5                   DR. YANCY: This is just a generic  
6                   comment, so please don't interpret it as being  
7                   directed towards you, but one does wonder if  
8                   there are inducements for the investigator to  
9                   more avidly enroll based on reimbursement,  
10                  because we certainly have to support our  
11                  clinical enterprise.

12                  Let's go to the next question. I  
13                  think Dr. Blackstone had his hand up.

14                  DR. BLACKSTONE: Dr. Waldo, you  
15                  used two terms that I wish you would define  
16                  for us. One is about inclusion criteria. The  
17                  other is about assessment. Inclusion criteria  
18                  is episodes of A.F. per time. Exactly what do  
19                  you mean and how would you quantify that?

20                  And what is your definition of  
21                  A.F. burden and how would you monitor and  
22                  obtain that?

1 DR. WALDO: Thanks, Gene. Well,  
2 actually, the per unit time is from the  
3 guidance. I mean, they talk about a six-month  
4 period. And the guidance originally said  
5 three episodes in six months, and now I hear  
6 that that's changed so that two is a very,  
7 very big difference.

8 And I'm not sure what the -- I  
9 mean, that as part of my theme, perfect the  
10 enemy of good. I mean, I think the ideal  
11 thing, the best thing, is clear, but it's been  
12 so very difficult to do, that to make  
13 enrollment a little easier and still have a  
14 rigorous, you know, valid trial is what our  
15 aim is.

16 Now, as far as burden, I'm not  
17 sure I'm -- I have a precise answer for you,  
18 but I mean, if you can -- I mean, there's a  
19 trial -- I had backup slides, actually, to  
20 show -- there was a recent trial just  
21 published this summer of only 14 patients, and  
22 -- but they had an A.T. 500 implant and this

1 is a Medtronic pacemaker that had terrific  
2 monitoring capabilities.

3 And so when they just looked at  
4 the -- at the efficacy of the trial on  
5 symptomatic recurrence, the efficacy -- it was  
6 71 percent. But the harder they looked, the  
7 more they saw to the point where when they  
8 looked at just a Holter monitor at six runs --  
9 weekly Holter, that sort of thing.

10 When they finally just looked at  
11 the A.T. 500, which looked at all the time,  
12 the efficacy rate was down to 43 percent. But  
13 striking as that is, when they looked at the  
14 burden, there was a dramatic decrease in the  
15 amount of atrial fibrillation that these  
16 patients had. Some had none. Three had none  
17 at all.

18 But of that burden, most of the  
19 patients had less than 30 minutes a day when  
20 they had something, but -- and not very often.  
21 So let me suppose that -- supposing a patient  
22 had three episodes a week of paroxysmal atrial

1 fibrillation before this, and even on drug  
2 therapy, and when you do the -- when you do  
3 the A.F. ablation, now they have X number of  
4 minutes, let's say 10, 15 minutes, once or  
5 twice a year, as an example, maybe that's a  
6 very good result.

7 So that defining what that burden  
8 is -- I think it would take a lot of heads to  
9 put it together, but I think a lot of us don't  
10 -- and that's in the guidelines -- want to  
11 talk about that, the HRS guidelines, that say  
12 that just the time to first recurrence is not  
13 the answer.

14 The total picture of how the  
15 patient feels -- and it's a lot easier if  
16 after ablation, for instance, not if the  
17 patient is symptomatic but the events are very  
18 infrequent. This is a very good treatment  
19 effect.

20 DR. YANCY: Dr. Somberg?

21 DR. SOMBERG: Well, I was very  
22 encouraged by the information presented about

1 the positive movement to randomize clinical  
2 trials. And what I hear from the presenters  
3 was that there are three areas that might  
4 facilitate things even further.

5 And I wanted to ask the FDA, their  
6 clinical and statistical people, what they  
7 thought of, number one, relaxing the  
8 amiodarone requirement, especially in my mind  
9 if both arms of the study were -- had a  
10 randomization of amiodarone; relaxing from a -  
11 - the need to fail one drug to be randomized,  
12 because you would still have the randomization  
13 for -- and for one presentation that was 50  
14 percent of their patient population that could  
15 have been in the study.

16 And the third thing is this little  
17 controversy of two episodes of A.F. versus  
18 three in the run-in period. Maybe we could  
19 just reiterate what is the current guidance on  
20 that.

21 But it seems to me a little  
22 tweaking of the system might facilitate things

1 and maintain the highest standard of evidence,  
2 which is the RTC.

3 DR. BROCKMAN: Let me take them in  
4 reverse order. The guidance documents are  
5 current thinking and the -- can you hear me?  
6 Okay. So guidance documents are our current  
7 thinking, and the catheter ablation A.F.  
8 guidance document was put out in 2004, largely  
9 written in 2003. That was four years ago.

10 Our thinking has evolved a little  
11 bit. I don't know -- I don't know that I view  
12 that as a huge change. Apparently some do.  
13 But going from three to two -- we recognize  
14 that companies have been having trouble  
15 enrolling, and that was one of the things we  
16 thought would help. So it's -- I don't think  
17 it's any more complicated than that.

18 In terms of allowing trials where  
19 patients are enrolled without having failed a  
20 prior drug, I think we've already discussed  
21 that. We have tried to follow the guidelines.  
22 And if you feel that we should be doing

1           differently, I'm certainly interested to hear  
2           those comments. But to this point, we haven't  
3           gone there.

4                        And the first question was  
5           amiodarone. Actually, this is not something  
6           we've discussed internally. This has just  
7           occurred to me as we were talking about this  
8           this morning.

9                        My reluctance to allow amiodarone  
10          use shortly before the ablation has been, in  
11          large part, because we wanted to capture  
12          effect off of drug after the ablation. And  
13          due to the long half-life, the long washout  
14          period, of amiodarone, I think it muddied the  
15          water in analyzing that data. And I still  
16          feel that way.

17                       If, on the other hand, we were to  
18          look at whatever our end point is -- freedom  
19          from recurrent A.F. or freedom from recurrent  
20          symptomatic A.F. -- and the panel doesn't  
21          think it's important to differentiate whether  
22          or not patients are on anti-arrhythmic drugs,

1           then I think my reluctance to allow amiodarone  
2           shortly before the procedure would be less.

3                     DR. YANCY:  Certainly we have an  
4           extended period of time this afternoon to  
5           address the specific issues about amiodarone  
6           and about what constitutes failure of anti-  
7           arrhythmic therapy.

8                     Let's continue the lines of  
9           questioning based on what the presenters gave  
10          us.  I think Dr. Tracy was next to be  
11          recognized.

12                    DR. TRACY:  Just a quick question  
13          kind of reflecting the -- I'd like the FDA's  
14          reflection on what they consider burdensome.  
15          Some of these presentations -- it looks like  
16          there's three percent of the patients that are  
17          screened are enrolled, and the time for the  
18          studies is -- between inception and completion  
19          is six years-plus.

20                    How does that stack up against  
21          other trials that have been done with ablation  
22          catheters, with other types of devices?  Is

1           this a standard amount of time? Is this  
2           excessive? What is the feeling about this?

3                     It seems excessive just on the  
4           surface, but maybe Dr. Zuckerman or somebody  
5           else could reflect on history here.

6                     DR. ZUCKERMAN: Okay. I can't  
7           give you quantitative numbers, but I think  
8           everyone in the audience would agree that the  
9           system right now is not optimal. Hence our  
10          need to call this panel meeting, and to get  
11          all stakeholders in the room, and to analyze  
12          the situation.

13                    But part and parcel -- and when I  
14          say analyze the situation, I do want to refer  
15          to the earlier comments where part of this  
16          problematic area right now has been fueled by  
17          off-label use, so there's a responsibility  
18          here for all stakeholders -- FDA, but also  
19          professional societies and industry, et  
20          cetera.

21                    But we have what we have. I think  
22          we would all agree that we're looking for less

1           burdensome methodologies. But by the same  
2           token, we need to appreciate that our standard  
3           is at the time of a panel advisory meeting a  
4           reasonable assurance of safety and  
5           effectiveness.

6                           And one only has to look at the  
7           panel meetings over the last year to  
8           understand how this panel has struggled when  
9           clinical trial tactics have been forgotten and  
10          we're just rushing to the goal line, or,  
11          better yet, I would again emphasize the  
12          comments made by Drs. Yancy and Blackstone at  
13          our panel meeting yesterday, where I think  
14          some of the same issues were raised.

15                          So there's a delicate balance  
16          here, and there aren't going to be easy  
17          solutions. That's why we'd like you to do  
18          most of the heavy lifting.

19                          (Laughter.)

20                          DR. YANCY: So we'll let Dr. Page  
21          be the next lifter.

22                          DR. PAGE: Just a brief question.

1 I'm concerned, when basically one or two out  
2 of 100 patients screened are actually  
3 enrolled, as to whether those -- that minority  
4 of patients represent the overall patients as  
5 a whole.

6 And along that line, we've heard  
7 five presentations. Are you keeping a  
8 registry of the patients who have been  
9 screened and not enrolled to make sure that  
10 when we do get an answer and a trial is  
11 complete whether those patients represent the  
12 patients that we as clinical cardiologists are  
13 seeing on a daily basis?

14 DR. YANCY: That's an excellent  
15 point.

16 Can someone from industry comment,  
17 please?

18 DR. BAROLD: We are not keeping a  
19 registry of the patients that are screened.  
20 We're, you know, up to here just taking care  
21 of the patients that are in the study. So no,  
22 we are not doing that.

1 DR. YANCY: Other questions from  
2 the panel? There are certain panel members  
3 that have not yet had a chance to -- please --  
4 contribute.

5 Dr. Weinberger?

6 DR. WEINBERGER: As a non-  
7 electrophysiologist listening to this problem,  
8 I'm struck by the translation into  
9 practicalities. So burdensome translates into  
10 a particular enrollment size that you have to  
11 achieve in order to have the power to  
12 demonstrate effectiveness and safety.

13 So I'd like to pull back a minute  
14 and ask the FDA whether the safety end points  
15 are what's driving the -- the size -- the  
16 power necessary, or is it effectiveness end  
17 points and, if it's effectiveness end points,  
18 whether we could come up with surrogates that  
19 will reduce the burden on the sponsors.

20 DR. EWING: As a reminder, I'm  
21 Lesley Ewing, another electrophysiologist with  
22 the FDA. And that's a very long way to walk

1 over here.

2 The numbers are driven by safety  
3 assessment. It's a short answer.

4 DR. YANCY: Thank you.

5 Additional questions?

6 Dr. Neaton?

7 DR. NEATON: I just was going to  
8 ask the sponsors -- I mean, the suggestions  
9 which were made for expanding inclusion-  
10 exclusion criteria all made some sense to me,  
11 but they all, I think, would lead to a  
12 potential loss of power in terms of comparing  
13 the two treatment groups.

14 And so I presume that's been  
15 considered, and one feels that by relaxing  
16 them you could get a -- enroll a larger sample  
17 size in your study to preserve that power --  
18 for example, concomitant use of amiodarone or  
19 reducing the number of prior episodes.

20 I think that would all kind of  
21 tend to potentially reduce expected treatment  
22 differences.

1 DR. YANCY: If there's no response  
2 to that, Dr. Slotwiner?

3 DR. SLOTWINER: Thanks.

4 I was struck listening to the  
5 sponsors' presentations at the progress that's  
6 actually been made with the clinical trials to  
7 date. As a practicing electrophysiologist who  
8 does these procedures, I'm very eager to have  
9 objective evidence demonstrating particularly  
10 the safety and efficacy. And I'm very aware  
11 of the difficulty in enrolling in these  
12 studies.

13 And I was quite willing to  
14 consider trial design B, the hybrid approach,  
15 but it sounds to me what I'm hearing from the  
16 sponsors is that there are small adjustments  
17 that we might be able to make that would  
18 change the enrollment sufficiently to continue  
19 with this more rigorous scientific approach.

20 And even if we were to look at  
21 trial design B more closely, I wonder if that  
22 would be taken up by the sponsors. And it's

1 my impression it might not be.

2 DR. YANCY: Interesting  
3 perspective.

4 Please, Dr. Calkins, feel free.

5 DR. CALKINS: I just want to make  
6 one comment about this alternate B which is  
7 coming up with the objective performance  
8 criteria. And I believe that that's  
9 impossible.

10 I mean, you heard the study that  
11 Al mentioned where, depending on how much you  
12 monitor, your success went from 80 percent to  
13 20 percent or 30 percent. And you saw data  
14 presented earlier by Dr. Brockman showing the  
15 data from the -- from Germany where, you know,  
16 if you look for asymptomatic afib, your  
17 efficacy drops by about 20 percent or even  
18 further.

19 So we -- you know, there's a lot  
20 published on afib ablation, but if you look at  
21 how it was collected and how much monitoring  
22 was done for asymptomatic and afib, and if

1           they did it, none of the studies tell you what  
2           the compliance was to the monitoring protocol.

3                        So I think you're just asking for  
4           trouble with this objective performance  
5           criteria, unless you pick 20 percent as your  
6           target efficacy or something like that. So I  
7           think that would be a very poor approach.

8                        And the bigger challenge, which I  
9           think the group should comment on, which I  
10          think we struggle with is the issue of  
11          asymptomatic afib. And the guidance document  
12          now says that the goal should be elimination  
13          of symptomatic afib. So if you take the  
14          extreme patient, which we've seen in prior  
15          studies, they show up in paroxysmal afib.

16                        You do an ablation procedure.  
17          They come back six months later in permanent  
18          afib but they're asymptomatic. And according  
19          to the current guidance document, that's  
20          successful. The patient's asymptomatic. They  
21          have no symptomatic afib. It's a success.

22                        But hopefully everyone on the

1 panel would say if you went in with an  
2 intention of getting rid of afib and now you  
3 have permanent afib, it's hard to call that a  
4 success. And yet the primary end point of all  
5 these studies says that patient's a success.  
6 And we all know about placebo effect.

7 So I -- the consensus document  
8 which we struggled with for, you know, over a  
9 year -- you know, the -- our recommendation  
10 for a definition of success was freedom from  
11 afib, aflutter, acardia, symptomatic or  
12 asymptomatic off anti-arrhythmic drug therapy,  
13 which is the highest standard.

14 Now, when you have that high bar,  
15 the efficacy will obviously fall but, you  
16 know, it's the same thing if you go into a  
17 late afib and you end up with a left atrial  
18 flutter that's incessant, you could call that  
19 successful because afib's gone. Now you have  
20 an iatrogenic left atrial flutter. And prior  
21 studies that have been published have called  
22 that patient successful.

1                   So this is why the published  
2                   literature -- it was hard with -- we had a  
3                   flutter panel meeting a while ago that was  
4                   nearly impossible to come up with objective  
5                   performance criteria. And this would be  
6                   absolutely impossible.

7                   So I strongly would discourage  
8                   that alternative proposal and suggest you  
9                   think more about, you know, the issue of how  
10                  do we deal with asymptomatic afib and this  
11                  issue about -- you know, all these studies are  
12                  doing weekly event monitors.

13                  And so either they have an event  
14                  monitor showing afib -- they have no symptoms.  
15                  When the panel meets to, you know, render an  
16                  opinion, you're going to say well, that's --  
17                  that wasn't the primary end point, that's --  
18                  that's good, you know, we'll ignore that afib  
19                  episode.

20                  And I as an electrophysiologist  
21                  say if I go in there to ablate afib, and a  
22                  patient -- you know, and the afib's still

1           there, it's hard to call it a success, even if  
2           you caused it to be asymptomatic because of  
3           the natural history of afib.

4                         And then the final comment, and  
5           I'll shut up, is -- has to do with this thing  
6           about afib burden which Al mentioned, which I  
7           think all of us who do this procedure see this  
8           quite commonly. You see a patient -- you  
9           know, five episodes of afib a day, or  
10          permanent -- you know, longstanding persistent  
11          afib. You do your ablation.

12                        And six months later, they have a  
13          10-minute episode of afib or two-hour episode  
14          of afib. The patient's tremendously pleased.  
15          They're off anti-arrhythmic drug therapy.  
16          They're happy as a clam. Yes, they had one  
17          recurrence.

18                        And with all of these drug trials,  
19          we now would classify that patient as a  
20          failure, whereas the patient and the clinician  
21          performing the procedure would clearly call it  
22          a success and clearly would not recommend a

1 second procedure for that patient.

2 So I think that is really what I  
3 think the discussion, you know, should stretch  
4 to, is discussing the -- some of these tougher  
5 issues about when these studies, two of which  
6 are -- one's done, and one's almost done, and  
7 one's halfway done -- when they are done, you  
8 know, how you're going to try to interpret  
9 these results.

10 And I -- one final comment, if you  
11 will, is -- has to do with the question about  
12 the guidelines say to do an ablation you have  
13 to hit secondline therapy, you have to fail  
14 anti-arrhythmic drug therapy. And so that's  
15 really where this current study design came  
16 from.

17 And the reality is there's three  
18 randomized studies, small but randomized  
19 studies, looking at catheter ablation as  
20 first-line therapy. Each three -- each of the  
21 three has shown that catheter ablation is  
22 superior to anti-arrhythmic drug therapy.

1                   So that's why patients are  
2                   referred and come to us earlier on in the  
3                   treatment modality. In the HRS/AHA/ACC  
4                   consensus document we state that afib ablation  
5                   should be performed, you know, after failure  
6                   of a Class I or III drug, but we also say in  
7                   certain circumstances it's reasonable to do as  
8                   primary therapy.

9                   And I think a very reasonable  
10                  certain circumstance would be if this patient  
11                  wants therapy sort of earlier on than you  
12                  usually would apply it, and you have a  
13                  randomized study where you're going to get  
14                  incredibly important data in a careful way,  
15                  and you have good preliminary data suggesting  
16                  that might be the right answer, well, let  
17                  these patients go on the study as first-line  
18                  therapy after failing a beta blocker or  
19                  calcium blocker. So thank you.

20                  DR. YANCY: Dr. Calkins, let me  
21                  just pose one question. I just wanted to be  
22                  clear. From what I hear, you're suggesting

1           that as an electrophysiologist who is an  
2           investigator in these studies, you actually  
3           seem to be in favor of a traditional clinical  
4           trial design with the highest bar for  
5           resolution of atrial fibrillation, is that  
6           correct?

7                     DR. CALKINS:  Yes, that's correct.

8                     DR. YANCY:  And the comment you  
9           just made about the guideline statement -- I  
10          think the ACC/AHA statement says in rare  
11          occurrences R.F. ablation can be primary  
12          therapy.  Is that correct, or can we get  
13          clarification of that?

14                    DR. CALKINS:  Well, the HRS -- the  
15          Heart Rhythm Society consensus document that  
16          was published this summer that was endorsed by  
17          the AHA, the ACC and the European  
18          organizations says that, you know, in certain  
19          circumstances it's appropriate to do catheter  
20          ablation as first-line therapy.

21                    I'm not sure about the AHA  
22          document.  I think maybe Rick was one of the

1 co-authors of that, or Al, or somebody. But  
2 I know in certainly the community of  
3 electrophysiologists and ablationists around  
4 the world, we consider, you know, certain  
5 patients -- they don't want drugs. They're  
6 young people. They come to you for an  
7 ablation. So we're doing it after  
8 appropriately doing this discussion.

9 But I'd much rather offer them a  
10 clinical trial where either they get a drug  
11 that has -- and by doing it that way, the  
12 drugs are more likely to work, because it's  
13 your first drug, you know, out of the block,  
14 as opposed to what we're doing now, which is  
15 sort of guaranteeing the drug arm's not going  
16 to work, you know, in virtually anyone.

17 DR. YANCY: All right. Thank you  
18 very much.

19 We've got a comment from Dr.  
20 Peters, who we've not yet heard from.

21 DR. PETERS: I agree with Dr.  
22 Calkins. I think before ablation gets too far

1           afield, we have one shot to do a randomized  
2           clinical trial comparing anti-arrhythmic drugs  
3           with ablation.

4                        Hearing that recruitment is the  
5           biggest factor, I think, you know, as a --  
6           somebody who deals with patients a lot, I  
7           could sit down with somebody and say okay, we  
8           have these two methods, we don't know which is  
9           better. I think I can convince a lot of them  
10          to go into a randomized clinical trial.

11                      If we wait much longer, just like  
12          we did with angioplasty and bypass surgery, it  
13          will be too late. The ablation will have  
14          taken over, and we'll never get the  
15          information. So I would urge to use it as  
16          primary therapy and just offer it to people,  
17          and I think we'll get our sample size and do  
18          away with all the problems of bias and non-  
19          group comparability.

20                      DR. YANCY: It's good to hear  
21          equipoise exists.

22                      Dr. Schoenfeld?

1 DR. SCHOENFELD: I wouldn't -- I  
2 think I am inclined to agree with Dr. Peters  
3 and what he said as well. But I guess  
4 harkening back to what the issues are in terms  
5 of FDA, one of them is safety, and it's  
6 interesting to hear that that seems to be the  
7 primary concern of the two issues, the two  
8 mandates, safety and efficacy. And that seems  
9 to be easier, perhaps, to ascertain.

10 So then it goes, then, to the  
11 efficacy, which Dr. Calkins is addressing, and  
12 I guess what I would ask the various trialists  
13 or -- are you actually asking the patients why  
14 they're getting their procedures? What are  
15 they looking for? Why are we doing these  
16 procedures?

17 Because otherwise we're subjecting  
18 patients to a lot of intense investigation, a  
19 lot of potential risks, a lot of cost and  
20 expense. And so, really, what are the end  
21 points of what we're trying to achieve? And  
22 that will really, perhaps, drive who's

1 enthusiastic about going ahead with the  
2 studies.

3 And do you have that built into  
4 your trials in terms of what are the patients  
5 looking for, why are they coming for an atrial  
6 fibrillation ablation? Because it does strike  
7 me that, yes, you do have these patients that  
8 are now going to intractable left-sided atrial  
9 tachyarrhythmias or they're in chronic atrial  
10 fibrillation but feeling just fine, thank you.

11 So are they in there to feel  
12 better? Are they there to eliminate  
13 anticoagulation, which is another subject for  
14 discussion? Why are these people enrolling in  
15 the trials? Because that has a direct bearing  
16 on what we constitute or how we define  
17 efficacy.

18 DR. CALKINS: Let me address that.  
19 So I mean, the reason patients come to us is  
20 to feel better. And if you look at the  
21 consensus document, you know, the primary goal  
22 here, you know, is patients who failed one

1 drug or first-line but that have symptomatic  
2 afib. So clearly, that's the primary goal of  
3 what we're doing, is to make patients feel  
4 better.

5 We also make it crystal clear in  
6 this consensus document that anticoagulation  
7 should not be based on whether they had the  
8 procedure, not that that's not an appropriate  
9 indication of doing the procedure, but we need  
10 to follow the risk factors and anticoagulate  
11 them regardless of how you deem the procedure  
12 to be successful.

13 But you know, the argument for  
14 those that say that asymptomatic afib doesn't  
15 matter would be Mark's argument that if they  
16 came in to feel better and they're feeling  
17 better, even if they're in afib all the time,  
18 it's still success.

19 Well, that's an awfully risky sham  
20 procedure to do to get -- or -- because afib  
21 tends to get less asymptomatic as you go by,  
22 as you go from paroxysmal to sort of

1           persistent to chronic. There's a tendency to  
2           become less symptomatic. But fundamentally,  
3           if we're there to get rid of afib, you would  
4           think that afib should be done.

5                         With the last comment being this  
6           thing about, you know -- you know, afib's  
7           almost gone, but not totally gone, you know,  
8           in the AHA afib document that was written last  
9           summer, in 2006, they make it very clear that  
10          a drug -- anti-arrhythmic drug can be  
11          considered effective even if you're still  
12          having afib provided the frequency or burden  
13          of the afib episodes is decreased enough  
14          where, you know, you continue a patient on  
15          flecainide if they're having two episodes a  
16          year lasting two hours.

17                        And I think the same applies, you  
18          know, with atrial fibrillation ablation, that  
19          there are those patients that are dramatically  
20          improved. They aren't cured. I don't think  
21          we should use the term "cure," but that it is  
22          a beneficial therapy. But those are my

1 thoughts. But thank you.

2 DR. YANCY: Thank you very much.

3 We have time for two very brief  
4 comments, one from Dr. Morrison and then Dr.  
5 Zuckerman will have the last word.

6 DR. MORRISON: Well, I would just  
7 like to ask the other members of the panel if  
8 any of them are as shocked as I am to hear the  
9 FDA say we're designing trials where the  
10 sample size is based on safety rather than  
11 efficacy.

12 I can't think of a procedure in  
13 the history of medicine where we've gone to  
14 patients and say this is very expensive, it's  
15 very dangerous, we have no idea what good it  
16 does you, but we'd like to do it, and if we  
17 can talk you into a trial we're just going to  
18 see how many of you have serious adverse  
19 events.

20 If I'm the slow member of the  
21 class, please, one of you, enlighten me at the  
22 break. But it seems to me --

1 DR. YANCY: So I think it's very  
2 appropriate --

3 DR. MORRISON: -- that efficacy is  
4 the issue.

5 DR. YANCY: Dr. Zuckerman, please?

6 DR. ZUCKERMAN: Thank you, Dr.  
7 Yancy, for giving me the last word, because  
8 this is --

9 (Laughter.)

10 DR. ZUCKERMAN: -- exactly the  
11 issue that I wanted to comment on to clarify  
12 certain things. And again, yesterday's panel  
13 session should be looked at -- upon as just a  
14 generic prototype of the common problem that  
15 we get into. I'm not specifically pointing  
16 out that manufacturer in any punitive way.  
17 It's a general problem that we see.

18 Our mandate is to be able to show  
19 at the end of the day -- conclude that we have  
20 a reasonable assurance of safety and  
21 effectiveness. So concurrent with Dr.  
22 Morrison's comments, certainly in a clinical

1 trial we have to see effectiveness and safety  
2 clearly demonstrated.

3 But the reality is that with this  
4 type of device treatment, as well as with many  
5 other device treatments, there are potentially  
6 devastating safety complications that occur  
7 with low frequency events, so that when you do  
8 a sample size calculation for safety and for  
9 effectiveness, the bigger sample size is the  
10 one that we want to see being offered in the  
11 trial, such that at the end of the day we've  
12 confidently concluded that the device is safe  
13 and effective.

14 Unfortunately, too often, we see  
15 the lower sample size estimate, and then at  
16 the end of the day this advisory panel sees an  
17 underpowered trial for safety, and they have  
18 real problems making a definitive conclusion.

19 Number two, the trial design that  
20 Dr. Peters and others suggested being a more  
21 broad, proof-of-principle trial is a very  
22 worthy suggestion and needs further discussion

1           this afternoon.

2                         But again, I would underline that  
3           as opposed to second-line therapy, the  
4           offering of this technology as truly first-  
5           line therapy does bring into consideration  
6           some profound effectiveness and safety  
7           questions, from a sponsor's viewpoint might be  
8           a much larger sample size, and I hope that  
9           this panel will fully try to work that out  
10          this afternoon.

11                        Finally, there's been mention of  
12          our most recent guidance document. I would  
13          like to clearly outline to investigators and  
14          the industry that guidance is guidance.  
15          Please always remember to read the first page,  
16          which is the preamble. Guidance is not  
17          regulations. It's not laws. It's only our  
18          suggestions at a particular point in time.

19                        Certainly, we would encourage  
20          every sponsor to continue their enrollment  
21          logs. Certainly, after this panel meeting  
22          we're going to be very interested in meeting

1 with sponsors to see what can be done to  
2 perhaps revise appropriately trial designs in  
3 this challenging area. Thank you.

4 DR. YANCY: Thank you, Dr.  
5 Zuckerman.

6 We will take a 15-minute break.  
7 During the break, we would like for Drs.  
8 Packer, Prystowsky, Estes, McCarthy and Ad to  
9 ensure that your presentations have been  
10 uploaded so that we can move expeditiously  
11 once we reconvene.

12 We'll resume the meeting at 11:05.  
13 Thank you.

14 (Whereupon, the meeting went off  
15 the record at 10:54 a.m. and resumed at 11:10  
16 a.m.)

17 DR. YANCY: Once again, if we  
18 could all gather and rejoin the meeting. Come  
19 to our seats so we can start on time, please.

20 Is A.V. ready to go?

21 We will now continue with the  
22 first open public hearing portion of the

1 meeting. Public attendees are given an  
2 opportunity to address the panel to present  
3 data, information or views relevant to the  
4 meeting agenda.

5 For the next hour, we have five  
6 speakers scheduled for this session. Each  
7 speaker has been allotted a maximum of 10  
8 minutes to speak.

9 There is a monitor on the podium.  
10 When you see the yellow light, please begin to  
11 sum up. The red light is a prompt for you to  
12 bring your comments to close.

13 In the interest of time, we ask  
14 you to respect the time limits, be succinct,  
15 but please be thorough, as these are important  
16 issues.

17 The first scheduled speaker is Dr.  
18 Douglas Packer. Please inform us of your  
19 affiliation as you speak.

20 Eric, did you change anything?

21 DR. PRYSTOWSKY: We thought it  
22 would be best to start with this and let Doug

1 go second, if you don't mind.

2 DR. YANCY: That's totally fine.

3 Thanks.

4 DR. PRYSTOWSKY: I'm not Dr.

5 Packer, although I'd like to be at the Mayo

6 Clinic. So --

7 (Laughter.)

8 DR. PRYSTOWSKY: -- I'm Eric

9 Prystowsky. I'm from Indianapolis,

10 electrophysiologist. As far as conflicts, I'm

11 director of -- one of the board of directors

12 at Stereotaxis, and I'm also a consultant for

13 Bard, but I'm not -- I'm here really

14 representing HRS.

15 And more importantly, I had a wee

16 bit to do with this slide up here. I served

17 on both guideline writing committees, and this

18 is the updated maintenance of sinus rhythm

19 algorithm that everyone's been sort of chit-

20 chatting about today.

21 And let me just give you the

22 background of it very quickly, and then I'm

1 going to really let Dr. Packer talk about our  
2 HRS statement, which I think is very  
3 important.

4 But to put in perspective why we  
5 have placed catheter ablation as a second-line  
6 treatment option, when we developed this back  
7 in '01 and then secondarily in '06, the  
8 concept was safety first. I don't think many  
9 people here would argue that probably, head to  
10 head, amiodarone typically wins in trials.  
11 That wasn't the issue.

12 Safety first was the issue. And  
13 at this time we wrote the guidelines in '06,  
14 we felt there were enough data actually in all  
15 four categories up there -- people with  
16 minimal to no heart disease, LVH, coronary  
17 disease and heart failure -- enough actually  
18 reported data to say that ablation could be  
19 absolutely available as a clinically relevant  
20 tool, okay, a treatment option for patients,  
21 not investigational, in all four of those  
22 categories.

1           And in fact, there was a lot of  
2           discussion in the left category of even  
3           bringing it up to first-line treatment along  
4           with the drugs. The only reason it wasn't is  
5           because we felt the worldwide safety data  
6           wasn't quite the same as the safety data from  
7           some of the best labs in the country, and so  
8           therefore we felt, with the data at hand, that  
9           we would list it as a second-line -- not  
10          investigational, mind you, approved, in our  
11          opinion, good clinical therapy.

12                 So that's why it's there, and this  
13          -- in my opinion, some of the discussion that  
14          I listened to this morning is not really  
15          appropriately derived from the guidelines.  
16          This is the management currently of afib.

17                 I would certainly, as a member of  
18          this committee, have never had a problem if  
19          you said in an investigational study, if you  
20          were happy using first-line treatment drug and  
21          first-line treatment ablation in an  
22          appropriate patient, I mean, that would never

1           bother me at all.

2                       This doesn't mean we feel it's  
3           inferior to any anti-arrhythmic drugs out  
4           there. Not at all. That was never the  
5           intention. It was just meant as a worldwide  
6           guideline for safety. We quite feel it met  
7           first-line criteria. So I would, as a  
8           guideline member who had a lot to do with this  
9           particular slide, have had no problem with  
10          that, number one.

11                     And number two, I'd like to remind  
12          everyone here that are so enamored with the  
13          idea that ablation is not approved, I was  
14          around in the early amiodarone days. I  
15          remember how amio got approved. I was in a  
16          meeting with the FDA in the Heart House in  
17          about 1984-ish.

18                     And I think we all know it did not  
19          come through the approval process that is now  
20          rigorously imposed. It was basically given  
21          approval, and it's got a big black box. And  
22          unless on my flight from Indy this morning

1           between 7:00 and 7:30 someone approved it for  
2           afib, my understanding -- it's still not  
3           approved for afib.

4                        So before you get overly carried  
5           away, do remember the most widely used drug,  
6           and the drug that's up there in four  
7           categories, is not FDA approved. So if you  
8           put amiodarone, Bram, up against ablation,  
9           then you have two investigational agents going  
10          against each other. So there's a conundrum.

11                       (Laughter.)

12                       DR. PRYSTOWSKY: Anyway, I just  
13          wanted to put a little into context this, and  
14          certainly be happy at a later point if there  
15          are questions to handle them.

16                       I'd like to turn it over to Dr.  
17          Packer now. Thank you.

18                       DR. ZUCKERMAN: The comedy aside,  
19          that is a relevant point, and that's why with  
20          trial design B from the FDA, again, we realize  
21          that sometimes standard of care is the most  
22          appropriate therapy, and that's how we'd like

1           this audience and panel to think about  
2           comparators. What is the most relevant  
3           standard of care? Forget the FDA approved  
4           indication for today.

5                     DR. PRYSTOWSKY: Yes, and I  
6           appreciate that, because I would tend to  
7           support that.

8                     DR. YANCY: Thank you again, Eric.  
9           Dr. Packer?

10                    DR. PACKER: I am Doug Packer from  
11           the Mayo Clinic. I am not Dr. Prystowsky. I  
12           am, however, representing the Heart Rhythm  
13           Society and was a member of the A.F. Ablation  
14           Consensus Task Force convened by the HRS for  
15           the purpose of providing a state-of-the-art  
16           review of A.F. ablation and then to report  
17           those findings, the findings of the consensus  
18           group.

19                    The task force comprised 27  
20           members. It was led by Hugh Calkins and was  
21           composed of members representing the ACC, AHA,  
22           European Cardiac Arrhythmia Society, European

1 Heart Rhythms Association, and the STS. You  
2 can see those that are listed there, and each  
3 one of those societies approved or endorsed  
4 this document.

5 My disclosure statement reflects  
6 substantial industry funding of my research  
7 activity and significant interaction with a  
8 variety of different research groups, and it's  
9 important to note that in the context of my  
10 comments.

11 So I think it's important to note  
12 that A.F. ablation has been practiced now for  
13 about 10 years. And each year there's  
14 someplace between 10,000 and 30,000 A.F.  
15 ablations performed in the United States.  
16 It's hard to get a good number or a good  
17 feeling for that number.

18 And despite that, there are no  
19 mortality data. There is nothing there that  
20 gives us any kind of indication as to what the  
21 long-term outcomes are. And I've listed here  
22 a variety of different questions that remain.

1           It's not my intent to review each and every  
2           one of those. Those are available in the HRS  
3           heart rhythm publication of the consensus  
4           statement.

5                        You can see that they range in  
6           order from the impact of atrial -- ablation on  
7           atrial size to what's optimal ablative  
8           strategies for treatment of persistent and  
9           longstanding atrial fibrillation. Again,  
10          there are multiple questions that remain to be  
11          answered.

12                       It is the consensus of the writing  
13          group that a writing of different clinical  
14          trials of different designs will be required  
15          to answer these questions. We believe that  
16          there will need to be sufficiently powered  
17          randomized mortality studies to get at some of  
18          the ultimate questions and answers.

19                       CABANA is intended to do just  
20          that. That's a trial that needs to be held to  
21          a much higher standard in terms of  
22          randomization against available and best drug

1 therapy.

2 We believe that there should be  
3 multi-center clinical trials, that they're  
4 quite a bit more agile, can get at -- to --  
5 get to answers of those vexing questions that  
6 I showed you rather quickly.

7 We also believe that there should  
8 be carefully constructed single- and multi-  
9 center registry studies. Now, the rationale  
10 for that is that these are the trials that  
11 tell us exactly how A.F. ablation is being  
12 performed, not necessarily what the consensus  
13 statement or the guidelines dictate.

14 It also gives us an opportunity to  
15 get at individual populations that might be  
16 significantly smaller -- hypertrophic  
17 cardiomyopathy, for example, or heart failure  
18 are a couple of examples.

19 And then finally, the industry-  
20 sponsored device approval studies that we're  
21 discussing today.

22 We came up with recommendations

1 from this consensus document, and the reason  
2 why we did is that if you look at the  
3 literature, if you were to try to come up with  
4 some kind of OPC criteria, if you were going  
5 to try to come up with some kind of  
6 performance guidelines, then you would find  
7 that the available literature have highly  
8 variable definitions and end points,  
9 substantial differences in treatment  
10 modalities.

11 The definitions of acute and long-  
12 term success are variable. There's  
13 variability of post-ablation blanking periods,  
14 follow-up, re-do and crossover treatments.

15 There's variability in accounting  
16 for asymptomatic A.F., as Hugh mentioned. And  
17 there's also incomplete accounting of adverse  
18 events, particularly the ones that occur after  
19 the first week.

20 And we look to long-term mortality  
21 trials to get us a very -- to give us the best  
22 notion of what to be -- is to be expected with

1       these kinds of therapies for that. But in the  
2       meantime, we basically have one week and  
3       perhaps as much as 30-day data.

4               We felt that if we were to make  
5       inroads with the consensus document that there  
6       should be a clinical trial section and that it  
7       should give a sense of minimum reporting.  
8       Now, this, again, is a consensus statement.  
9       It's not -- it's not a guideline statement.

10              Nevertheless, we felt that it  
11       would be advantageous to each one of us and  
12       for the better benefit of each of our patients  
13       to have minimum set of -- minimum set of  
14       criteria or requirements for reporting.  
15       Anyone could report whatever they want to, but  
16       they need to at least report this.

17              We believe that that should be  
18       dependent on the study designs. First, that  
19       the study's design should depend on the  
20       question to be answered.

21              Second, the trials assessing  
22       ablation outcome should not necessarily

1           require randomization against drug therapy,  
2           that there could be other randomization  
3           schemes.

4                         Third, that randomization against  
5           an accepted standard of care ablation catheter  
6           may be sufficient for efficacy and safety  
7           assessment.

8                         And we felt that sham procedures  
9           as a part of these studies are ill-advised.

10                        So given that there may be  
11           differences in the approach or the design, at  
12           a minimum, reports from investigators, whether  
13           they're part of clinical trials or whether  
14           these are reports from individual single-site  
15           reports, there needs to be a clear description  
16           of baseline demographics, A.F. type and  
17           duration, and occurrence of cardioversion --  
18           how long that last episode lasted before the  
19           cardioversion was performed.

20                        There should be an adoption of the  
21           amended definitions of paroxysmal, persistent  
22           and longstanding persistent A.F. that are in

1 the consensus statement. The term of  
2 permanent atrial fibrillation does not seem to  
3 apply in the setting of atrial fibrillation  
4 ablation and surgical intervention.

5 The extent of the underlying heart  
6 disease, including atrial size and ventricular  
7 function, should be clear and the degree of  
8 non-cardiac disease needs to be specified.

9 We believe that there should be  
10 reporting of data based on a consistent  
11 initial post-ablation blanking period of three  
12 months. Now, it may well be that some trial  
13 or some group may prefer a different blanking  
14 period, but at a minimum, that information  
15 needs to be available such that trials can be  
16 compared or reports from single-centers can be  
17 compared across different boundaries and  
18 different studies.

19 And finally, additional reporting  
20 of occurrences or events during the post-  
21 ablation blanking period should be listed as  
22 early events, so while we tend now to ignore

1 blanking period events, those would at least  
2 be recorded so that we would get some sense of  
3 what is being excluded.

4 We believe that there should be  
5 minimum requirements for monitoring follow-up.  
6 First, the requisite electrocardiogram  
7 documentation of recurrent A.F. in patients  
8 with persistent type symptoms -- these were  
9 intended to give us a means of identifying or  
10 differentiating between paroxysmal patients  
11 that have paroxysmal recurrence or persistent  
12 recurrences, and the intent was that there  
13 could be differences in monitoring intensity  
14 based on this.

15 Next event, monitor recordings in  
16 patients with intermittent symptoms thought to  
17 be arrhythmia-related. So event recorders of  
18 whatever type.

19 And then we felt that a search for  
20 asymptomatic A.F. at six-month intervals  
21 thereafter should be done using one of the  
22 following: Telephonic monitoring for four

1 weeks around the follow-up interval for  
2 symptom-prompted recording, and a minimum of  
3 weekly transmissions to detect asymptomatic  
4 events, again, with the emphasis being that we  
5 need to identify what events are asymptomatic  
6 and include them in our considerations of  
7 efficacy. Hugh mentioned that as well.

8 Twenty-four- to 72-hour Holter  
9 monitoring, or 30-day patient- or auto-  
10 triggered event monitoring, or some type of  
11 mobile cardiac outpatient telemetry would be  
12 acceptable.

13 We believe that the follow-up  
14 should be -- and that was the concurrence of  
15 the consensus group, that a minimum follow-up  
16 duration of 12 months would be advantageous,  
17 and that recurrences should include not just  
18 atrial fibrillation but also atrial flutter  
19 and the atrial tachycardias.

20 It's difficult to make a decision  
21 about exactly how long that episode should  
22 last. We came to the conclusion that any

1 episode lasting at least 30 seconds in  
2 duration that occurs after the blanking period  
3 should be classified as a recurrence.

4 One can then come up with  
5 different schemes and algorithms to decide  
6 whether or not that is complete or partial  
7 efficacy or whether it makes a difference from  
8 the standpoint of burden of the atrial  
9 fibrillation, but nevertheless, this would be  
10 a consistent guideline.

11 The primary efficacy end point of  
12 ablation should be freedom from A.F. and  
13 atrial flutter or tachycardia in the absence  
14 of anti-arrhythmic drug therapy, as Hugh  
15 mentioned.

16 And then follow-up should be  
17 reported. If we're talking about those off  
18 anti-arrhythmic drugs, they should be off a  
19 sufficiently long period of time that we can  
20 actually make some sense about the end point.

21 And finally, other end point  
22 considerations. The secondary end point of

1 freedom from A.F. and atrial flutter or  
2 tachycardia in the presence of previously  
3 ineffective anti-arrhythmic therapy is an  
4 important consideration that should also be  
5 included, and that A.F. burden should be  
6 considered separately from the primary  
7 efficacy end point.

8 Now some of the studies that have  
9 been reported merged that into overall primary  
10 efficacy end points. It's worth considering.  
11 It's difficult to document, but it should be  
12 considered separately.

13 We believe that the greater good  
14 is going to be fostered by standardization of  
15 some type of quality of life assessment and  
16 that all studies of A.F. ablation should  
17 include a complete reporting of major  
18 complications which is actually not done  
19 currently.

20 So again, this was intended to  
21 provide a state-of-the-art look at atrial  
22 fibrillation ablation. We as a consensus

1 group agreed to disagree on the final design  
2 of a clinical trial. We believe that these  
3 should be different, again, based on the  
4 questions being asked and answered.

5 But we do believe that those  
6 minimum criteria will allow us to make  
7 comparisons from one group to the next or one  
8 city to the next and perhaps come up with OPCs  
9 or performance guidelines. Thank you.

10 DR. YANCY: Thank you, Dr. Packer.

11 We will proceed next with Dr. Mark  
12 Estes.

13 DR. ESTES: Thank you very much,  
14 panel members, Dr. Yancy. I appreciate the  
15 opportunity to present on behalf of the  
16 American Heart Association who, as you've  
17 heard, has been involved with the guidelines  
18 and the consensus document. I have no  
19 relevant conflicts.

20 And I wanted to focus on, really,  
21 the documents that have been published,  
22 because I think that they serve to ground us,

1 look at clinical practice and can be useful in  
2 the discussions that ensue.

3 As has been referred to, this  
4 document was published in 2006 -- five  
5 different groups, 44 authors, 368 references -  
6 - a very scholarly document. And it really  
7 serves as the reference, I think, for  
8 answering the 11 questions which I received a  
9 day ago relative to the focus of this.

10 I'm going to try to make my  
11 comments, and as Dr. Prystowski has already  
12 indicated as a member of that panel that wrote  
13 the guidelines, for recurrent paroxysmal afib,  
14 A.F. ablation is appropriate if an anti-  
15 arrhythmic treatment fails. And that document  
16 in August of 2006 was quite clear that it was  
17 for second-line therapy in individuals who  
18 were symptomatic with afib.

19 And this becomes important because  
20 when we discuss anticoagulation, the AFFIRM  
21 trial, of course, enrolled patients who were  
22 candidates for either rate control and

1 anticoagulation or rhythm control, and it's an  
2 important distinction.

3 And then subsequently, for  
4 recurrent persistent afib, catheter ablation  
5 as second-line therapy for one anti-arrhythmic  
6 drug failure. But this is a group of  
7 symptomatic patients that were fundamentally  
8 different than those who were in the AFFIRM  
9 trial who were candidates for either group.

10 Subsequently, it's been referred  
11 to -- and as Dr. Packer presented as one of  
12 the authors, along with Dr. Calkins, on this --  
13 -- a report came out which reflected, in fact,  
14 some of the evolution of the thinking.

15 This document, published in May of  
16 this year, stated that during the past decade  
17 catheter ablation of afib has evolved from a  
18 rapidly -- from a highly experimental,  
19 unproven procedure to current status of  
20 commonly performed ablations -- procedure in  
21 many hospitals throughout the world.

22 And during that time, actually,