

U.S. FOOD AND DRUG ADMINISTRATION

+ + + +

CIRCULATORY SYSTEM DEVICES ADVISORY PANEL

+ + + +

MEETING

+ + + +

FRIDAY,
NOVEMBER 30, 2007

+ + + +

The meeting convened at 8:00 a.m. at the Gaithersburg Holiday Inn, Two Montgomery Village Avenue, Gaithersburg, Maryland, Warren Laskey, M.D., Acting Panel Chairperson, presiding.

PANEL MEMBERS PRESENT:

WARREN K. LASKEY, M.D., Acting Panel Chairperson
RICHARD L. PAGE, M.D., Voting Member
JOHN C. SOMBERG, M.D., Voting Member
EUGENE H. BLACKSTONE, M.D., Consultant
MICHAEL J. DOMANSKI, M.D., Consultant
HENRY EDMUNDS, M.D., Consultant
NORMAN S. KATO, M.D., Consultant
PATRICIA A. KELLY, M.D., Consultant
JoANN LINDENFELD, M.D., Consultant
BARRIE MASSIE, M.D., Consultant
SHARON-LISE NORMAND, Ph.D., Consultant
CYNTHIA TRACY, M.D., Consultant
THOMAS VASSILIADES, Consultant
MARCIA S. YAROSS, Industry Representative
KAREN R. RUE, Consumer Representative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

FDA PARTICIPANTS:

JAMES P. SWINK, Panel Executive Secretary

BRAM ZUCKERMAN, M.D., Director, Division of
Cardiovascular Devices

CHUL AHN, Ph.D., Cardiovascular & Ophthalmic
Device Branch, Division of Biostatistics, CDRH

ERIC CHEN, M.S., CDRH/ODE/DCD

ILEANA PIÑA, M.D., Case Western Reserve,
Consultant to FDA

JULIE SWAIN, M.D.

DALE R. TAVRIS, M.D., M.P.H., Epidemiology
Branch, Division of Postmarket Surveillance,
Office of Surveillance and Biometrics

SPONSOR PRESENTERS:

LAURA DAMME, R.N., M.P.H., Senior Director,
Clinical Affairs, Thoratec Corporation

GERALD J. HEATLEY, M.S., Senior Manager,
Clinical Data Systems, Thoratec Corporation

DONALD A. MIDDLEBROOK, Vice President,
Corporate Regulatory Affairs and Quality
Assurance, Thoratec Corporation

LESLIE W. MILLER, M.D., Washington Hospital
Center and Georgetown University Hospital

FRANCIS D. PAGANI, M.D., Ph.D., Director,
Heart Transplant Program, University of
Michigan Hospital

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

RALPH PETRUCCI, Ed.D., Drexel University
STEVEN H. REICHENBACH, Ph.D., Senior Director,
New Technology Development, Thoratec
Corporation

STUART RUSSELL, M.D., Johns Hopkins Hospital

PUBLIC HEARING SPEAKERS:

SALINA GONZALES
ROGER-GUY FOLLY
JANNA KINTZLEY

DAVID C. NAFTTEL, Ph.D., University of Alabama
at Birmingham, on behalf of INTERMACS

ROBERTA C. BOGAEV, M.D., Texas Heart Institute
at St. Luke's Episcopal Hospital

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

T A B L E O F C O N T E N T S

	<u>Page</u>
Call to Order.....	5
Conflict of Interest and Deputization to Voting Member Status Statements.....	6
Panel Introductions.....	13
1 st Public Hearing	19
Sponsor Presentation.....	31
FDA Presentation.....	150
Panel Deliberations.....	230
2 nd Open Public Hearing	251
Panel Deliberations (continued).....	269
FDA Questions.....	339
FDA and Sponsor Summations.....	411
Panel Vote.....	411
Adjournment	

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

P R O C E E D I N G S

8:00 a.m.

Call to Order

CHAIRMAN LASKEY: Good morning.
I'd like everyone to take their seats, please.

Thank you. I'd like to call this meeting of
the Circulatory System Device Panel to order.

My name is Warren Laskey, the
Chairperson of this panel for today. I am the
Chief of Cardiology at the University of New
Mexico School of Medicine. If you've not
already done so, please sign the attendance
sheets that are on the tables by the doors.

If you wish to address the panel
during the one of the open sessions, please
provide your name to Ms. Andry Williams at the
registration table.

If you're presenting in any of the
open public sessions today, and have not
previously provided an electronic copy of your
presentation to FDA, please arrange to do so
with Ms. Williams.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I note for the record that the
2 voting members present constitute a quorum as
3 required by 21 C.F.R. Part 14. I would also
4 like to add that the panel participating in
5 the meeting today has received training in FDA
6 device law and regulations.

7 I'd like to remind all to please
8 put the cell phones or beepers on silence as a
9 courtesy. Mr. Swink, our Executive Secretary
10 for the Circulatory System Device Panel, will
11 make some introductory remarks.

12 Conflict of Interest/Deputization

13 MR. SWINK: The Food and Drug
14 Administration is convening today's meeting of
15 the Circulatory System Devices Panel of the
16 Medical Devices Advisory Committee, under the
17 authority of the Federal Advisory Committee
18 Act of 1972.

19 With the exception of the industry
20 representative, all members and consultants of
21 the panel are special government employees, or
22 regular federal employees from other agencies,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and are subject to federal conflict of
2 interest laws and regulations.

3 The following information on the
4 status of this panel's compliance with federal
5 ethics and conflict of interest laws are
6 covered by, but not limited to, those found at
7 18 U.S.C. Section 208, and Section 712 of the
8 Federal Food, Drug and Cosmetic Acts, are
9 being provided to participants in today's
10 meeting and to the public.

11 FDA has determined that members and
12 consultants of this panel are in compliance
13 with federal ethics and conflict of interest
14 laws. Under 18 U.S.C. Section 208, Congress
15 has authorized FDA to grant waivers to special
16 government employees who have potential
17 financial conflicts, when it is determined
18 that the agency's need for a particular
19 individual's service outweighs his or her
20 potential financial conflict of interest.

21 Under Section 712 of the FT&C Act,
22 Congress has authorized FDA waivers to special

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 government employees, or regular government
2 employees with potential financial conflicts
3 when necessary, to afford the committee
4 essential expertise.

5 Related to the discussions of
6 today's meetings, members and consultants of
7 this panel who are special government
8 employees have been screened for potential
9 financial conflicts of interest of their own,
10 as well as those imputed to them, including
11 those of their spouses or minor children, and
12 for purposes of 18 U.S.C. Section 208, their
13 employers.

14 These interests may include
15 investments, consulting, expert witness
16 testimony, contracts, grants, CRADAs,
17 teaching, speaking, writing, patents and
18 royalties and primary employment.

19 Today's agenda involves the
20 discussion of a pre-market approval
21 application for the Heartmate II Left
22 Ventricular Assistance System, sponsored by a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Thoratec Corporation. This system is intended
2 for use as a bridge to transplantation and
3 cardiac transplant candidates at risk of
4 imminent death from non-reversible left
5 ventricular failure.

6 The device is intended for use both
7 inside and outside the hospital. This is a
8 particular matters meeting, during which
9 specific matters related to this PMA will be
10 discussed.

11 Based on the agenda for today's
12 meeting, and all financial interests reported
13 by the panel's members and consultants, no
14 conflicts of interest waivers have been issued
15 in accordance with 18 U.S.C. Section 208 and
16 712 of the FT&C Act.

17 A copy of this statement will be
18 available for review at the registration table
19 during this meeting, and will be included as
20 part of the official transcripts.

21 Marsha S. Yaross, Ph.D., is serving
22 as the industry representative, acting on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 behalf of all related industry, and is
2 employed by Biosense Webster, Incorporated, a
3 Johnson and Johnson company.

4 We would like to remind members and
5 consultants that if the discussion involved
6 any other products or a firm that's not
7 already on the agenda, for which the FDA
8 participant has a personal or imputed
9 financial interest, the participants need to
10 exclude themselves from such involvement, and
11 their exclusion will be noted for the record.

12 FDA encourages all other
13 participants to advise the panel of any
14 financial relationships that they have with
15 any firms at issue. Thank you.

16 I will now read the appointment to
17 temporary voting status for the panel.

18 Pursuant to the authority granted
19 under the Medical Devices Advisory Committee
20 charter of the Center for Devices and
21 Radiological Health, dated October 27th, 1990,
22 and as amended August 18th, 2006, I appoint the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 following individuals as voting members of the
2 Circulatory System devices panel for the
3 duration of this meeting on November 30th,
4 2007.

5 Joann Lindenfeld, Michael J.
6 Domanski, Eugene H. Blackstone, Henry Edmunds,
7 Norman Kato, Thomas Vassiliades, Sharon-Lise
8 Normand, Patricia Kelly and Cynthia Tracy.

9 For the record, these individuals
10 are special government employees and are
11 consultants to this panel under the Medical
12 Devices Advisory Committee. They have
13 undergone the customary conflict of interest
14 review and have reviewed the material to be
15 considered at this meeting.

16 In addition, I appoint Warren K.
17 Laskey, M.D., to act as temporary chairperson
18 for the duration of this meeting. This was
19 signed by Daniel G. Schultz, M.D., Director,
20 Center for Devices of Radiological Health, and
21 dated November 16th, 2007.

22 I will now read the appointment to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 temporary voting status for Dr. Massie.
2 Pursuant to the authority granted under the
3 Medical Devices Advisory Committee charter of
4 the Center for Devices and Radiological
5 Health, dated October 27th, 1990 and as amended
6 August 18th, 2006, I appointed Barrie M.
7 Massie, M.D., as a temporary voting member of
8 the Circulatory System Devices Panel for the
9 duration of this meeting on November 30th,
10 2007.

11 For the record, Dr. Massie serves
12 as a consultant to the Cardiovascular and
13 Renal Drugs Advisory Committee of the Center
14 for Drug Evaluation and Research. He's a
15 special government employee who has undergone
16 the customary conflict of interest review, and
17 has reviewed the materials to be considered at
18 this meeting.

19 This was signed by Randall Lutter,
20 Ph.D., Deputy Commissioner for the Policy,
21 dated November 22nd, 2007. Before I turn the
22 meeting back over to Dr. Laskey, just a few

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 general announcements.

2 Transcripts of today's meeting will
3 be available from Neal Gross and Company.
4 Information on this at the front desk.
5 Information on purchasing videos of today's
6 meeting can be found at the table outside the
7 meeting room.

8 Presenters to the panel who have
9 not already done so should provide FDA with a
10 hard copy of the remarks, including overheads.

11 I would like to remind everyone that members
12 of the public and the press are not permitted
13 around the panel area, beyond the speaker's
14 podium.

15 The press contact for these
16 meetings are Karen Riley. She's standing over
17 here, and I request that reporters wait to
18 speak with FDA officials until after the panel
19 meeting. Thank you.

20 Panel Introductions

21 CHAIRMAN LASKEY: Good morning,
22 again. At this meeting, the panel will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 making a recommendation to the Food and Drug
2 Administration on the pre-market application,
3 PMA P060040, Thoratec Heartmate II Left
4 Ventricular Assist System.

5 The Heartmate II Left Ventricular
6 Assist System is intended for use as a bridge
7 to transplantation and cardiac transplant
8 candidates at risk of imminent death from non-
9 reversible left ventricular failure.

10 The Heartmate II LVAS is intended
11 for use both inside and outside the hospital.

12 Before we begin, I'd like to ask our panel
13 members who are generously giving their time
14 today, and other FDA staff seated at this
15 table, to introduce themselves.

16 Please state your name, area of
17 expertise, your position and affiliation, and
18 we will begin with Dr. Zuckerman.

19 DR. ZUCKERMAN: Thank you. Bram
20 Zuckerman, Director, FDA Division of
21 Cardiovascular Devices. I'd also like to make
22 a short comment for both the panel members and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the speakers today.

2 I was told by the AV people that
3 for optimal recording, people need to remember
4 to really speak into the microphone about six
5 inches away. Apparently, we had problems with
6 the recording yesterday.

7 DR. VASSILIADES: Tom Vassiliades,
8 cardiovascular surgeon at Emory University
9 School of Medicine in Atlanta.

10 DR. KELLY: Patricia Kelly, cardiac
11 electrophysiologist, in practice in Missoula,
12 Montana.

13 DR. MASSIE: Barrie Massie,
14 University of California at San Francisco at
15 San Francisco VA, heart failure cardiologist
16 in my clinical work.

17 DR. KATO: Norman Kato,
18 cardiothoracic surgery in private practice,
19 Los Angeles, California.

20 DR. NORMAND: Sharon-Lise Normand.
21 I'm a professor of Health Care Policy and
22 Biostatistics in the Harvard Medical School

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the Harvard School of Public Health in
2 Boston.

3 DR. SOMBERG: John Somberg,
4 professor of Medicine and Pharmacology, Rush
5 University, Chicago.

6 DR. EDMUNDS: I'm Hank Edmunds,
7 cardiothoracic surgeon is my major and a minor
8 in Hematology. I'm at the University of
9 Pennsylvania.

10 MR. SWINK: James Swink, Executive
11 Secretary for the Circulatory Systems Devices
12 Panel.

13 DR. PAGE: Richard Page. I'm a
14 cardiologist and an electrophysiologist. I'm
15 head of Cardiology at the University of
16 Washington in Seattle.

17 DR. BLACKSTONE: Eugene Blackstone,
18 head, Clinical Research, Department of
19 Thoracic Cardiovascular Surgery, Cleveland
20 Clinic.

21 DR. LINDENFELD: JoAnn Lindenfeld.
22 I specialize in heart failure and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transplantation at the University of Colorado
2 at Denver.

3 DR. DOMANSKI: Mike Domanski. I am
4 an interventional cardiologist and Chief of
5 the Ethrothrombosis and Coronary Artery
6 Disease Branch at the National Heart, Lung and
7 Blood Institute.

8 DR. TRACY: Cindy Tracy. I'm the
9 Associate Director of the Division of
10 Cardiology and the Director of Cardiac
11 Services at George Washington University, and
12 I'm an electrophysiologist.

13 DR. YAROSS: Marcia Yaross, Vice
14 president, Clinical Quality, Regulatory and
15 Health Policy at Biosense Webster in
16 Diamondback, California and industry
17 representative to the panel.

18 MS. RUE: Karen Rue with Griswold
19 Special Care from Lafayette, Louisiana. I'm a
20 consumer representative.

21 CHAIRMAN LASKEY: Thank you all,
22 and congratulations, we're still on time. So

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'd like to make that one of the prevailing
2 themes of the day.

3 We will proceed with the open
4 public hearing portion of our meeting. Both
5 the Food and Drug Administration and the
6 public believe in a transparent process for
7 information-gathering and decision-making.

8 To ensure such transparency at the
9 open public hearing session of the Advisory
10 Committee meeting, FDA believes it is
11 important to understand the context of any
12 individual's presentation.

13 For this reason, FDA encourages you
14 at the open public hearing or industry speaker
15 at the beginning of your written or oral
16 statement, to advise the Committee of any
17 financial relationship that you may have with
18 the sponsor, its product and if known, its
19 direct competitors.

20 For example, this financial
21 information may include the sponsor's payment
22 of your travel, lodging or other expenses in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 connection with your attendance at the
2 meeting.

3 Likewise, FDA encourages you at the
4 beginning of your statement to advise the
5 committee if you do not have any such
6 financial relationships.

7 If you choose not to address this
8 issue of financial relationships at the
9 beginning of your statement, it will not
10 preclude you from speaking.

11 Currently, there are three
12 scheduled speakers for the morning session:
13 Salina Gonzales, Roger-Guy Folly and Janna
14 Kintzley, and if we can have the first step
15 forward.

16 1st Public Hearing - Testimony

17 MS. GONZALES: Hello. My name is
18 Salina Gonzales. I have no financial interest
19 in Thoratec. They are reimbursing me for the
20 cost of travel to this meeting.

21 I am a second grade teacher from
22 San Antonio, Texas. I am here to share my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 testimony on given a second chance to live
2 because of the Heartmate II. In July of 2006,
3 I went from a healthy mother and teacher to a
4 person diagnosed with congestive heart
5 failure.

6 Heart failure does not run in my
7 family, and it is unknown how I went into this
8 state of condition so quickly. I was told
9 that my heart was very enlarged, and my heart
10 muscle was extremely weak. Everything that
11 was stated coincided with how I felt.

12 I could not walk up a short flight
13 of stairs without becoming severely short of
14 breath. I could not carry my 18 month-old son
15 at the time in or out of the car without being
16 completely weak or need to gasp for air.

17 I had so much pain in my abdomen
18 that I could barely eat or sleep. After
19 hearing the worst, that my heart was in its
20 final stages and I was dying, I was introduced
21 to one more opportunity that would help me
22 survive. It was the Heartmate II.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This was my only hope of life, that
2 would give me one more chance to watch my only
3 child grow up with a mother. In October 2006,
4 the pump was successfully implanted in my
5 heart. I recovered day by day, and after a
6 month I was discharged from the hospital.

7 My second month after the surgery,
8 I was able to walk on the treadmill from five
9 minutes a day to 30 minutes a day. On my
10 third month, I was able to increase my time to
11 an hour at an incline. Six months later, I
12 was able to return to the profession I love,
13 which is teaching.

14 To this day, I've had my pump for a
15 year and two months. It is an honor to be
16 here and personally thank each and every one
17 of you who was part of the decision of
18 allowing a clinical trial, because I would not
19 be here today if it wasn't for you.

20 I am forever grateful for allowing
21 an LVAS to be implanted in me. You have let
22 me celebrate life, teaching and raising a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 child. Thank you for giving me the joy of
2 letting me watch my son turn three years old
3 this past week, and thank you for letting me
4 give him the love from a mother.

5 If I was near death and now I am
6 here alive and well because of the technology,
7 sophistication and invention of this pump, I
8 think anyone else deserves this hope that can
9 restore their lives completely. Thank you.

10 CHAIRMAN LASKEY: Thank you.

11 MR. FOLLY: Good morning. My name
12 is Roger-Guy Folly. I have no financial
13 interest in this corporation. They are
14 reimbursing me for my trip to this conference
15 today.

16 My history is a history of
17 sickness. I have a very large heart for more
18 than 20 years. I had a pacemaker and a
19 defibrillator implanted for many years, and I
20 think that since last year, those devices have
21 been passe. My heart was becoming weaker and
22 weaker.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I could not walk. I could not
2 speak longer, and I was coughing all the time.

3 I was almost known at the ambulance, by the
4 ambulance staff people who were taking me
5 always to the emergency room almost every
6 week.

7 When I go to the emergency room, I
8 will have some Lasix, some oxygen and maybe
9 stay in the hospital for two or three days,
10 and come back home I will be okay.

11 Three days later, I may be going
12 again back to the emergency room at the
13 Washington Hospital Center. Until my
14 cardiologist, Dr. Watkins, suggested to me to
15 go see Dr. Miller and his team about this
16 LVAS. I went. They explained to me what it
17 meant, and questions asked and answered.

18 After I decided to go ahead with
19 the implant. I was confident that this would
20 save my life, this would save my energy. When
21 I was in the hospital, I was implanted with
22 the device in June of this year, and I spent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 two months in the hospital.

2 I came home and first of all, I was
3 afraid that I couldn't walk upstairs. I did.

4 I was surprised that I walked upstairs
5 without any trouble, without getting out of
6 breath.

7 Following the advices that I was
8 given by the hospital staff, I started walking
9 every day. Every day I walk for about five
10 miles and come back home when the weather
11 permits.

12 But generally, I don't have any of
13 these same times of getting out of breath as I
14 used to. LVAS is a good device. It's a
15 device which gives you a break waiting for
16 maybe a heart transplant.

17 Even though it is a little bit
18 bulky, it is saving a lot of lives, including
19 mine. I have to thank all the people that
20 participated in developing the concept, the
21 doctors, the nurses and all the people that
22 contributed in putting together the device.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I hope that it will become known in
2 the public, because right now I don't think
3 it's very well known. It will become known in
4 the public, so a lot of people can know that
5 they have, when they have an enlarged heart
6 and they have this situation of heart problem,
7 they know they can go to this device and have
8 some time to leave before they get a heart to
9 be implanted in them.

10 I thank you very much for your
11 attention.

12 CHAIRMAN LASKEY: Thank you.

13 MS. KINTZLEY: Good morning. I
14 want to thank you for the opportunity to share
15 my story with you today. As a matter of full
16 disclosure, I have no financial interests in
17 Thoratec, other than their reimbursement of
18 the costs of travel to this meeting.

19 I believe I am uniquely talk about
20 living with the Heartmate II, since I am on my
21 second Heartmate II pump, and have
22 participated in two separate hospitals'

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 program. Let me explain.

2 Two years ago, I was a completely
3 healthy 35 year-old wife and mother of three
4 small girls. I had recently had a physical
5 and everything was normal.

6 On February 19th of 2006 while
7 returning home from the store from our house
8 in Oak Harbor, Washington state, I began
9 experiencing extreme shortness of breath and
10 tightness in my chest and hands.

11 I arrived home to fall unconscious
12 in my husband's arms. I came to and was taken
13 by ambulance to Whidbey Island Naval Hospital.

14 After three hours of observation, I was told
15 I had suffered a panic attack and was sent
16 home with Valium.

17 Three days later, it all happened
18 again, this time with jaw pain. Fortunately,
19 the friend who was with me had been a cardiac
20 nurse and recognized the symptoms. Upon
21 arriving at the Naval Hospital, it was
22 determined immediately that my heart was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failing, and the call was made to life-flight
2 me to Bellingham, Washington.

3 I went directly to the cath lab,
4 where a balloon pump was implanted, which
5 stabilized me temporarily. After two days in
6 ICU with no progress being made, the
7 cardiologist there had the foresight to
8 realize that I needed to be transported to a
9 hospital with FAD and transplant capabilities.

10 That afternoon, I was life-flighted
11 across the state of Washington to Sacred Heart
12 Medical Center in Spokane, into the care of
13 Drs. Timothy Icenogle and David Sandler.

14 After three days in ICU, my organs
15 were showing signs of failure. So the
16 decision was made to operate and implant a
17 ventricular assist device.

18 Due to my smaller body frame, the
19 Heartmate XVE was not an option. My only hope
20 was with the Heartmate II. My family moved
21 from Oak Harbor to Spokane while I recovered.

22 I spent 12 days in ICU, then several weeks in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the hospital.

2 Following the surgery, there was
3 some hope that my heart would recover on its
4 own. Nearly three months after the initial
5 surgery, an evaluation was done and it was
6 decided that my ejection fraction was
7 estimated at 40 percent.

8 The decision was made to ex-plant
9 the pump. It was evident within hours after
10 surgery that my native heart was insufficient
11 and struggling. Two days later, I went back
12 in and a second Heartmate II was implanted.

13 I remained in ICU another nine days
14 and repeated the process of recovery. Because
15 there was no naval base in Spokane to which my
16 husband could transfer, the Navy allowed us to
17 transfer to Annapolis, Maryland, where Keith,
18 my husband, could teach at the Naval Academy,
19 and I could continue under the care of Johns
20 Hopkins Hospital.

21 Despite having endured all of this,
22 most days I still find it hard to believe it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ever happened. Today, I feel fantastic. I am
2 again the mother and wife I once was. I
3 simply am one who needs to change batteries
4 every few hours.

5 I am strong and happy, and my
6 quality of life is phenomenal. I volunteer in
7 my daughter's school and take part in several
8 church groups. I even hope to go back to
9 teaching high school in several years, which
10 was always my original plan once the girls
11 were all older.

12 This past summer, we bought a house
13 and I've helped paint more rooms than I care
14 to mention. The medical demands of this pump
15 are minimal, and I have monthly clinic visits
16 and echocardiograms at the hospital, and an
17 INR check every other week.

18 In addition to the high quality of
19 life the Heartmate II has afforded me, I am
20 extremely thankful for the Heartmate II,
21 because being O positive with a PRA of well
22 above 80 percent, I fully expect to wait a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 long time for a compatible heart.

2 It is extremely comforting that
3 this pump is capable of sustaining me until
4 that time. The most important thing this pump
5 is doing is giving me time with my husband and
6 three daughters.

7 It is humbling to think that if
8 this had all occurred five years ago, the life
9 I am living today would not be possible.
10 Thank you.

11 CHAIRMAN LASKEY: Thank you. We
12 will now proceed to the sponsor presentation
13 for the Heartmate II LVAS.

14 I would like to remind public
15 observers at this meeting that while this
16 meeting is open for public observation, public
17 attendees may not participate except at the
18 specific request of the panel.

19 We will now begin with the sponsor
20 presentation.

21 Sponsor Presentation

22 MR. MIDDLEBROOK: Good morning. My

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 name is Donald A. Middlebrook. I am the Vice
2 President of Corporate Regulatory Affairs and
3 Quality Assurance, and a full-time employee of
4 Thoratec Corporation, the sponsor of the PMA
5 we will be reviewing this morning.

6 Before we begin our formal
7 presentation, I would just like to take this
8 opportunity to thank all of the FDA Advisory
9 Panel members and FDA reviewers for the hard
10 work and time invested in preparing for
11 today's meeting.

12 I'd also like to give thanks to all
13 of the Thoratec presenters and experts here
14 with me this morning, and to all of the
15 Thoratec employees who have worked very hard
16 for the past decade to bring this life-saving
17 technology to this important point in time.

18 This is an outline of the
19 presentation we will be making to you this
20 morning.

21 After I conclude brief opening
22 remarks, we will provide you with some

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information about the device, the technology
2 behind it and the mechanisms of action. We
3 will then move into the study, the clinical
4 trial itself for the Heartmate II, and we'll
5 provide you with information on the study
6 design, the clinical management of the trial
7 while it was underway, and the statistical
8 considerations that we baked into the trial
9 design.

10 From there, we will move into the
11 summary of the outcome data, the patient
12 population, the clinical outcomes, device
13 safety, the secondary inputs of quality of
14 life, functional status, neurocognitive
15 assessment, and we will end with a few brief
16 closing remarks.

17 These are the Heartmate II
18 presenters. In addition to myself, Steve
19 Reichenbach, Laura Damme and Gerry Heatley
20 from Thoratec Corporation will provide
21 information on the device, the trial design
22 and the statistics that were considered in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial.

2 Dr. Leslie Miller from Washington
3 Hospital Center and George Washington
4 University Hospital, and Dr. Frank Pagani from
5 the University of Michigan Hospital, will
6 provide the bulk of the clinical outcome data.

7 We also brought along a number of
8 experts here this morning. This is the list
9 of those experts. Dr. Bill Holman from the
10 University of the Alabama, who is a member of
11 the Data Safety Monitoring Board.

12 Dr. Ralph Petrucci from Drexel
13 University, who is a neurocognitive expert,
14 also served as a core lab for us during the
15 clinical trial.

16 Dr. Val Jeevanandam from the
17 University of Chicago was a member of the
18 Clinical Events Committee. We have Vic
19 Poirier here with us from Thoratec, who can
20 answer any questions that may come up on the
21 Heartmate II or the ex-plant analysis we
22 conducted.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Stuart Russell from Johns
2 Hopkins Hospital, who is a Heartmate II
3 investigator; Susan Wright from the University
4 of Michigan, who is a VAD coordinator; and
5 finally Dr. David Naftel from the University
6 of Alabama, who is here to help us with
7 questions you may have regarding the post-
8 market study, or the INTERMACS registry which
9 we will be using to collect that data.

10 A little bit about Thoratec. The
11 company was founded in 1976. We merged with
12 Thermocardiosystems in February 2001 to form
13 what is now known as Thoratec Corporation.

14 Our primary product focus is
15 cardiac assist devices. We have 1,200
16 employees worldwide. We are the world's
17 leader in the cardiac assist device arena. We
18 have four PMA-approved VADS.

19 We have conducted six clinical
20 trials for ventricular assist devices, and we
21 have over 12,000 VADS implanted in patients,
22 including over a 1,000 Heartmate II's,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considering both our European commercial
2 experience and patients enrolled in the
3 clinical trial here in the United States.

4 This is a slide of the clinical
5 regulatory chronology for the Heartmate II.
6 There's a lot of information here, but there's
7 a few key points I want to make.

8 In February of 2005, the Heartmate
9 II pivotal study was approved by the FDA and
10 the study began. In May of 2006, just a short
11 15 months after we initiated the study, the
12 pivotal study enrollment was complete with 133
13 patients enrolled.

14 This pace of enrollment for this
15 device is a record for our company, and I
16 believe it to be a record pace of enrollment
17 for this technology and the history of this
18 technology.

19 We followed those 133 patients,
20 until we had at least six months of follow-up
21 on those patients. We conducted an analysis
22 and we rolled that up into an original PMA,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which we submitted in December of 2006 to the
2 FDA.

3 In April of 2007, FDA issued a
4 deficiency letter to us, and we met with them
5 face to face to review that letter on May 1st,
6 2007, our Day 100 review meeting. From that
7 meeting, we agreed to some re-analysis, which
8 we did complete, and we submitted that back to
9 the FDA in July 2007.

10 I think it's also important to
11 point out that between May of 2006 and
12 September of 2007, there have been four
13 continuous access approvals, for a total of an
14 additional 280 patients.

15 I think both the fact that we have
16 280 additional CAP patients and the pace of
17 enrollment, speaks to the clinical acceptance
18 of this device, by both the users and their
19 patients.

20 I also should point out that in
21 November of 2005, the device received
22 authorization for a C marking. It allowed us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to market the device in Europe, and there have
2 been over 300 implants since that time in
3 Europe.

4 I'd like to now turn the podium
5 over to Steven Reichenbach, who will provide a
6 device overview.

7 DR. REICHENBACH: I'm Steve
8 Reichenbach. I'm the Senior Director of New
9 Technology Development at Thoratec. I'm also
10 a full-time employee at Thoratec.

11 The Heartmate II represents really
12 the next step in the advancement of Thoratec's
13 ventricular assist device systems. All of our
14 devices, or all of our approved devices,
15 certainly provide an effective means of
16 circulatory support.

17 The earlier devices, shown on the
18 left-hand panel, tied the patient to a fairly
19 large console. This resulted in the patient
20 being tethered and having very limited
21 mobility. They also kept the patients in the
22 hospital.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 With the development of portable
2 pneumatic drivers, the patients were able to
3 be more mobile. They're also able to be
4 discharged home. However, they still are
5 required to bring that driver with them.

6 The next step for us was really our
7 wearable electric system, such as the
8 Heartmate XVE. These devices allowed the
9 patient much more mobility and a return to a
10 fairly normal lifestyle.

11 This device has been clinically
12 very well-accepted, and generally used for
13 many bridge to transplant patients, and many
14 consider it a standard of care for that
15 indication. In addition, there's been over
16 4,000 implants worldwide with that device.

17 The one thing about the XVE is that
18 it's a fairly large electromechanical device
19 that has to be implanted. The Heartmate II
20 represents the next step for the technology.

21 This provides a much smaller pump,
22 applicable for a broad range of patients,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 while still providing the support needed and
2 also was designed for long-term durability.

3 The Heartmate II system consists of
4 an implanted blood pump that pumps blood in
5 parallel with the natural left ventricle,
6 taking blood from the LVA packs and returning
7 it to the ascending aorta. A percutaneous
8 lead, connected from the pump, exits the skin
9 and connects to an externally-worn electronic
10 controller.

11 This controller provides
12 information for the patient, as well as
13 controlling the pump. The system itself is
14 powered by external batteries.

15 The key attributes of the Heartmate
16 II system really is its mechanism of pumping
17 the blood. The pulsatile pumps, the XVE
18 system, employs a fairly advanced
19 orthomechanical actuator and a pusher plate to
20 propel the blood.

21 It also has inflow and outflow
22 valves that are used to maintain a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unidirectional flow through the pump.

2 In contrast, the Heartmate II
3 utilizes a continuous flow rotary pump. This
4 utilizes a single rotating part to propel the
5 blood through the system. This pump does not
6 require valves because of that.

7 The design of this rotary pump is
8 that of an axial flow device. It has the
9 single rotating component. This component is
10 supported on each end by blood-washed
11 bearings. These bearings are hydrodynamic,
12 and that leads to very long-term durability.

13 The resultant pump design is very
14 small. Its displaced volume is 64
15 milliliters. It's much smaller than the
16 pulsatile XVE pump, being approximately one-
17 seventh the size and one quarter of the weight
18 of that device. It's also designed to fit in
19 a wide range of patients.

20 Not having valves lends to its
21 potential for long term durability. In
22 addition, the operation is extremely quiet and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 virtually silent to people standing near the
2 patient or the patients themselves.

3 In addition, because this pump does
4 not have a blood pumping chamber, there's no
5 need for venting and the percutaneous lead was
6 made substantially smaller. The Heartmate II
7 lead is 40 percent smaller than the existing
8 XVE lead.

9 While the size difference between
10 these pumps is quite obvious, there are a
11 number of similarities. First of all, the
12 flow capacity of the pumps are the same. Both
13 provide up to ten liters of flow for the
14 patient.

15 The outflow conduits are also
16 similar, in that they're both constructed from
17 woven polyester graphs and anastomosis to the
18 ascending aorta. The inflow cannula are also
19 similar.

20 They both employ a textured
21 titanium inlet, that's held to the LV with the
22 identical sewing ring for both devices, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they both use a flexible section on the
2 cannula to allow for anatomic positioning as
3 well as for any anatomical motion or movement.

4 The device can be powered in two
5 different configurations. One is battery
6 power. This is the typical configuration,
7 where the patients carry batteries with them
8 and they can go about their daily activities.

9 The other configuration is a
10 tethered operation. This is typically used
11 for night time, where power, AC power can be
12 obtained from a wall outlet.

13 Now the controller and the pump of
14 the Heartmate II are unique to that system.
15 However, the other power handling components,
16 these external components, are shared with the
17 XVE.

18 So being shared with the XVE,
19 there's been a substantial history with these
20 components, and they've been out clinically
21 for a number of years.

22 It goes beyond the power handling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 components, but certainly includes the battery
2 and battery charging systems, backup power
3 supplies, monitors and displays that are used
4 for programming as well as obtaining
5 information from the system.

6 There are a number of soft
7 accessories that the patients use and wear, to
8 carry the external components of the system.

9 As with any new device, much has
10 been learned during the clinical trial. We've
11 used this experience to make improvements to
12 the device. The inflow cannula on the pump
13 has been made more robust from this
14 experience. Also, we have made changes to the
15 sterile packaging, to facilitate handling in
16 the operating room.

17 We've also made a number of changes
18 to the controller and a number of
19 improvements. The bulk clip on this
20 controller has been made more robust.

21 There's been a number of software
22 upgrades to provide additional features and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 functionality. In addition, the percutaneous
2 connector has been improved, and the perc
3 lead itself has been made more robust.

4 In terms of pump reliability, the
5 core of the system has been very good, and the
6 experience has been good between bench testing
7 and the clinical experience.

8 On the bench, we've had 15 pumps
9 running for more than 37 years' cumulative
10 time, with the longest pump running nearly
11 five years. There's been no failures with
12 that experience.

13 The clinical trial has been
14 similar, in that there's been no failures. In
15 the pivotal trial, with 126 patients, we've
16 had over 61 cumulative patient years of
17 support at this point, and there's been no
18 failures with that experience.

19 The longest implanted patient in
20 the pivotal trial has been 1.8 years. It
21 should be noted, though, that we do have two
22 patients in the pilot trial that are now out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over three years of support. Now I'd like to
2 hand it over to Laura Damme, who will give an
3 overview of the clinical study.

4 MS. DAMME: I'm Laura Damme, Senior
5 Director of Clinical Affairs, and I'm an
6 employee of Thoratec. I'll be providing you
7 an overview of the study and its management.

8 The study was designed to evaluate
9 the safety and effectiveness of the Heartmate
10 II as a bridge to cardiac transplantation in
11 patients in end stage heart failure who had
12 imminent risk of death.

13 The study was designed as a
14 prospective, single arm non-randomized study
15 that required 133 patients. The primary study
16 end point was survival to transplantation or
17 180 days of LVAD support while remaining
18 listed at Status 1A or 1B.

19 This success rate was compared to
20 an objective performance criteria, or OPC,
21 that was based on Thoratec's bridge data.
22 Gerry Heatley will be providing further

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information on the OPC in his presentation.

2 A number of secondary end points
3 were also collected in the study, as pre-
4 specified in the study protocol.

5 These included adverse events,
6 functional status, as measured by NYHA, six
7 minute walk and activity score, quality of
8 life as measured by the Minnesota Living with
9 Heart Failure questionnaire and the Kansas
10 City Cardiomyopathy questionnaire.

11 Clinical reliability, as evidenced
12 by malfunctions and failures; reoperations;
13 neurocognitive evaluations that were performed
14 at a subset of sites; 30-day and one year
15 post-transplant survival.

16 A total of 279 patients were
17 enrolled in the study between March 2005 and
18 March of 2007. In addition to the 133
19 patients that were required in the study
20 protocol, 146 patients were enrolled under a
21 continued access protocol or CAP.

22 The patients enrolled under CAP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were followed under the same identical
2 protocol as the study patients. During our
3 100-day meeting with the FDA, FDA had
4 requested that the small BSA patients be
5 analyzed separately, therefore leaving 126
6 patients in the primary study cohort.

7 The small BSA patients from the
8 original cohort were combined with the small
9 BSA patients from the CAP cohort, giving us a
10 total of 15 small BSA patients, and leaving
11 138 patients in the CAP cohort.

12 Not all of these patients have been
13 followed to the study's specified end point of
14 outcome, or are being followed for 180 days.
15 58 of the CAP patients have been followed for
16 180 days.

17 Ten of the small BSA patients have
18 been followed for 180 days, and all of the 126
19 primary study cohort patients have been
20 followed for 180 days.

21 In the presentation today, you will
22 see data presented on various cohorts.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Baseline information will be presented on the
2 entire population. The 126 primary study
3 cohort patients; the 15 small BSA patients,
4 and the 138 CAP patients.

5 The primary and secondary end
6 points will be presented on these patients
7 that have reached study end point. These
8 patients also represent the first 194
9 consecutive patients that have been enrolled
10 in the bridge study, and Thoratec proposes to
11 use these patients as our labeling cohort.

12 The labeling cohort will also be
13 presented in today's presentation.

14 The 279 patients were enrolled at
15 33 U.S. sites. These sites are a
16 representative sample of our values or
17 community. There are 279 patients are
18 presented here. This is enrollment per site
19 and you will see this on the next two slides.

20 As I indicated, this is enrollment
21 per site per cohort. Almost 60 percent of the
22 sites enrolled more than five patients. There

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were five sites that were high enrollers that
2 enrolled 15 patients or more; 42 percent of
3 the sites enrolled from six to 14 patients,
4 and 42 percent of the sites enrolled five or
5 less patients.

6 Regarding study management, as
7 sites were evaluated and assessed to become
8 one of the Heartmate II study sites, they were
9 assessed for their qualifications.

10 The elements that we assessed
11 included a site's experience with the
12 Heartmate XVE; there's a site's resources for
13 data collection; and also a site's data
14 management capabilities.

15 Once a site was identified as a
16 Heartmate II site, the staff underwent
17 surgical and protocol training. All data and
18 site management was performed by Thoratec.

19 As indicated in the protocol, there
20 were two independent oversight committees.
21 These included a Clinical Events Committee
22 that adjudicated all the adverse events and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 deaths. The committee members consisted of
2 four physicians with varying specialties, and
3 their names can be seen listed on the slide.

4 In addition, there was a Data
5 Safety Monitoring Board that reviewed
6 adjudicated study data, as well as study
7 compliance and management every six months.

8 There were five DSMB members, four
9 physicians and one statistician, and again
10 their names are listed on the slide.

11 I will now turn the podium over to
12 Gerry Heatley.

13 DR. HEATLEY: Thank you, Laura. My
14 name is Gerry Heatley. I'm the senior
15 manager of Clinical Data Systems, Thoratec
16 Corporation.

17 I'm a full-time employee of
18 Thoratec Corporation. I'm going to be
19 speaking briefly about our study design and
20 some statistical considerations.

21 As Laura has indicated, the
22 Heartmate II study was a prospective single

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 arm study that compared the Heartmate II to
2 objective performance criteria.

3 The study was designed to be an
4 non-inferiority study, in that the Heartmate
5 II would be considered a success if it
6 performed as good or better than the OPC.

7 The null hypothesis for the trial
8 was that the success rate of the Heartmate II
9 is less than or equal to 65 percent, which
10 represents the OPC success rate of 75 percent,
11 with a non-inferiority margin of ten percent.

12 The alternate hypothesis is that
13 the success rate of the Heartmate II is
14 greater than 65 percent. 133 patients were
15 needed to achieve a power of 80 percent, and
16 the Heartmate II would be considered non-
17 inferior to the OPC if the one-sided lower 95
18 percent confidence interval is greater than 65
19 percent.

20 The OPC was developed using
21 historic Thoratec data on implantable VADS,
22 and these included the Heartmate IP, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Heartmate VE, and the Thoratec IVAD. For
2 trial efficiency, Thoratec proposed a
3 definition of success as survival to
4 transplant or 180 days of support, as our
5 experience has shown that the use of a more
6 traditional end point of transplant rate alone
7 has resulted in very long follow-up times.

8 We believe our data supports the
9 use of 180 days as an acceptable performance
10 standard for bridge patients. 70 percent of
11 our historic patients reach outcome by 180
12 days post-implant, and 73 percent of the
13 patients that are ongoing at 180 days are
14 ultimately transplanted.

15 180 days of support also represents
16 about twice the median support time for bridge
17 patients.

18 Now this slide summarizes some of
19 the data that was used in developing our OPC.

20 The data sources include previous bridge to
21 transplant clinical trials, as well as some
22 data from our device tracking registries. A

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 portion of this data has been published in
2 peer reviewed literature.

3 Over 2,000 patients who were
4 implanted with an LVAD were evaluated, and
5 these patients were implanted over about an
6 eight year period.

7 Overall, 75 percent of the patients
8 either were transplanted or had survived for
9 180 days, and this became our success rate in
10 our proposed OPC.

11 It's important to point out that
12 this success rate does not include the
13 transplant listing status of the patient at
14 180 days. Neither our clinical trials nor our
15 device-tracking registries collect information
16 on the listing status of patients, either
17 prior to implant or at 180 days. So that data
18 was not available for us to evaluate.

19 The data does include, however,
20 patients with body surface areas less than 1.5
21 meters square. Although the overall success
22 rate is 75 percent, there is year to year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 variation in this success rate, and this was
2 the basis for our non-inferiority margin.

3 Finally, no Heartmate II patients
4 who were studied in our feasibility trial or
5 European bridge to transplant patients, were
6 evaluated as we developed our OPC.

7 Now in proposing an end point that
8 included a discrete time point, Thoratec
9 understood that there may be patients who are
10 ongoing and supported at 180 days, who are no
11 longer medically eligible for transplant.

12 It was Thoratec's goal, and we
13 believe the FDA's goal, to identify patients
14 who are doing well at 180 days as successes,
15 and exclude patients who are languishing on
16 the device.

17 To that end, Thoratec proposed a
18 study end point of 180 days of support, with
19 no irreversible contraindication to
20 transplant, or survival to transplant as its
21 end point. This was our initially proposed
22 end point in our first protocol.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 FDA did not approve this end point.

2 The FDA stated that they felt it was
3 qualitative, would be difficult to interpret
4 the data, and recommended that we amend the
5 end point to 180 days of support, while
6 remaining transplant listed status 1A or 1B.

7 Thoratec agreed to this, and the
8 study's end point became survival to
9 transplantation, or 180 days of LVAD support
10 while remaining listed as Status 1A or 1B.

11 Now this end point allows patients
12 who are transplanted after 180 days to be
13 considered trial successes, regardless of
14 their 180 day transplant listing. Also,
15 patients who are explanted from the device due
16 to myocardial recovery are considered study
17 successes.

18 Now as we began to analyze the data
19 in preparation for our PMA submission, it
20 became apparent that this listing requirement
21 for patients who are ongoing at 180 days was
22 accurately identified for patients who are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing poorly on a device's failures.

2 These included three patients with
3 medical conditions their clinicians considered
4 to be irreversible.

5 Now about six months later, FDA
6 asked us to update our outcome data, and at
7 that point, all three of these patients had
8 expired on support.

9 There was also one patient who was
10 extremely deconditioned. Their clinician felt
11 that this patient was no longer medically
12 eligible for transplant.

13 During our re-analysis of the data,
14 this patient was still ongoing on support, but
15 was removed from the transplant list.

16 The listing requirement also had an
17 unanticipated, unexpected result of counting
18 ten patients who are thriving on support at
19 180 days as failures. Four patients were not
20 listed 1A or 1B, because they preferred to
21 remain on VAD support rather than accept the
22 cardiac transplant.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 At the time of our re-analysis, two
2 of the patients had a change of heart and were
3 not transplanted. One patient was not status
4 1A or 1B while being evaluated for myocardial
5 recovery. This patient was also transplanted
6 in our follow-up analysis.

7 Two patients were not 1A-1B, while
8 they experienced medical conditions their
9 clinicians considered to be reversible. In
10 our follow-up analysis, one of these patients
11 was now transplanted. The other was ongoing
12 and now listed 1B.

13 Finally, three patients were not
14 listed 1A or 1B while they experienced
15 compliance issues, which included some
16 substance abuse problems.

17 In our follow-up analysis, one of
18 these patients was now weaned with myocardial
19 recovery. The other two are ongoing, not
20 listed while they resolved their compliance
21 problems.

22 Now please keep these patients in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mind. Referring to these four irreversible
2 patients and ten reversible patients further
3 in my talk, Dr. Pagani will be discussing them
4 in his presentation.

5 We discussed these unanticipated
6 effects with the FDA at our 100-day meeting,
7 and at that time the FDA suggested that
8 Thoratec could provide some adjunctive data to
9 support our claim that the ten reversible
10 patients were similar to patients that were
11 listed 1A-1B, and therefore should not be
12 considered study failures.

13 Thoratec provided the FDA some
14 adjunctive analysis, which included these
15 ongoing patients' quality of life and
16 functional class status at six months, and
17 also some follow-up survival data.

18 Our analysis indicates that these
19 ongoing patients at 180 days basically fall
20 into two general groups. Those patients that
21 remain transplant-eligible, which include 15
22 patients that are ongoing, listed 1A-1B study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 successes, and those ten patients I described
2 that are not listed 1A or 1B due to reversible
3 reasons, and a second group of patients who
4 are now transplant-ineligible, due to
5 irreversible medical conditions.

6 This graph kind of summarizes some
7 of the adjunctive data we've provided to the
8 FDA. In this graph, I'm comparing those four
9 irreversible patients to the ten reversible
10 patients.

11 When we look to see how many
12 patients had been supported for least one year
13 on VAD support, which is twice the end point
14 specified in the study, all ten of the
15 reversible patients had achieved at least a
16 year of support, compared to only one of the
17 irreversible patients.

18 In our follow-up outcome analysis
19 that we provided to the FDA, nine of the ten
20 reversible patients were either remaining
21 ongoing on support, had been transplanted, or
22 had been weaned off the device due to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 myocardial recovery. Only one of the
2 irreversible patients was still ongoing.

3 Eight of the ten reversible
4 patients were able to achieve a six minute
5 walk test at six months of 200 meters or
6 greater. None of the irreversible patients
7 could walk 200 meters at six months.

8 An independent assessor judged all
9 ten of the reversible patients to be at New
10 York Heart Association Class 1 or 2 at six
11 months, versus only one of the irreversible
12 patients.

13 Now obviously we're dealing with
14 very small numbers of patients here. We can't
15 draw any statistical conclusions. But it's
16 interesting that the data does suggest that
17 these patients are falling into two groups:
18 one that is clearly benefitting on the device,
19 and another that's languishing.

20 When we compare these patients to
21 the ongoing patients who are listed 1A or 1B
22 at 180 days, what we find is that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reversible patients are very similar to the
2 success patients, in terms of their NYHA
3 status at 180 days, and also in the results of
4 their six minute hall walk test at 180 days,
5 in both groups very different from the
6 patients who are languishing on the device.

7 When we look at quality of life at
8 six months, the reversible patients are
9 actually doing a little better than the
10 patients who are successes, and much better
11 than the patients who are irreversible.

12 This is the Minnesota Living With
13 Heart Failure score. Lower scores mean better
14 quality of life. The Kansas City
15 Cardiomyopathy score, a higher score means
16 greater quality of life.

17 Now again, we're dealing with very
18 small numbers of patients. We can't do a
19 statistical analysis that would produce any
20 kind of meaningful results. But we do think
21 that the data suggests that these reversible
22 patients are more similar to patients who have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 achieved study success, than patients who are
2 languishing on the device.

3 Based on this adjunctive data,
4 we've provided FDA with an alternate analysis
5 of our end point, where we define success as
6 75 percent survival to transplant or 180 days
7 of support, with no irreversible
8 contraindications to transplant.

9 Now this is a post hoc analysis,
10 but it also represents the initial OPC we
11 proposed to the FDA. We believe this analysis
12 is consistent with the historic data that we
13 used in developing the OPC, which as you will
14 recall, did not include a listing status.

15 We believe it is also consistent
16 with the FDA literature-based performance
17 goal, which also does not include listing
18 status.

19 This definition accurately
20 identifies patients who are languishing on the
21 device as failures, and we believe it's more
22 representative of the dynamic nature of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinical practice, where we're seeing patients
2 moving from Status 1 to Status 7 and back,
3 based on the patient's medical-social
4 conditions or preferences.

5 In the data we are about to present
6 you, Thoratec will present the pre-specified
7 analysis as described in the protocol.

8 But we will also present our
9 alternate analysis and some actuarial analysis
10 that you can use as additional data when you
11 consider if this device is effectively
12 supporting patients to transplant.

13 I'll now turn the podium over to
14 Dr. Miller, who will describe our patient
15 population.

16 DR. MILLER: Good morning. I'm Dr.
17 Leslie Miller. I'm the chief of Cardiology at
18 the Washington Hospital Center and Georgetown
19 University. I was a principal investigator in
20 this trial, but I have not in the past nor do
21 I currently have stock or financial interest
22 in Thoratec.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This beginning of the data will be
2 to review the patients enrolled in this trial.

3 I'd like to overview the slides, as you'll
4 see it in this format through most of the rest
5 of the data presentation.

6 I'll be presenting to you the data
7 on the entire 279 patients who are enrolled as
8 of March of this year.

9 They include the primary cohort in
10 the pivotal trial, the extension of access to
11 using this device while the data was being
12 reviewed, the continuous access protocol, 138
13 patients, and those small patients as detailed
14 by Laura Damme, who were pre-specified with a
15 body surface area of less than 1.5 meters
16 squared.

17 These patients represent a very
18 typical population of those being listed for
19 heart transplantation and undergoing heart
20 transplantation today. That's based on a
21 comparison of the UNOS ISHLT database
22 registry, which shows that the average age

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 today in 2006 was 53 to 54 years of age, very
2 similar between the primary and CAP cohort,
3 slightly younger in the small patient cohort.

4 The etiology interest over the last
5 five years has declined, such that in 2006,
6 only 39 percent of patients had an ischemic
7 etiology, very consistent between the primary
8 and CAP cohort; slightly lower in the small
9 patient cohort.

10 The gender for the last 30 years
11 has been 80 percent male, 20 percent female
12 undergoing heart transplantation. They're
13 reflective of the groups in the primary and
14 CAP cohort.

15 But importantly, in the small
16 patient cohort, 13 of 15 of those subjects
17 were females, which reflects the increased
18 access to this technology with the new smaller
19 pumps.

20 The size of the patients is
21 described here as median in range, and you can
22 see nearly identical between the two primary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and CAP cohorts, both in body mass index and
2 estimated body surface area. They are by
3 definition significantly lower in the small
4 patient cohort.

5 This data again, by median and
6 range, is shown in graphic form here, and I
7 think you can see the similarities and the
8 ranges between the ages in the three cohorts
9 described, but quite a difference in the body
10 mass index, total body weight and body surface
11 area.

12 I draw your attention to the range
13 of weight that was observed in this trial, and
14 this pump was able to effectively support
15 patients as low as 40 kilograms, and as high
16 as nearly 140 kilograms. So nearly all
17 patients can be equally accommodated by this
18 pump.

19 The baseline and the dynamics in
20 this cohort describe a very ill population.
21 This is an average ejection fraction of 16
22 percent, nearly identical across all three

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cohorts, but reminds you that by definition
2 these are all UNOS Status 1A patients, and
3 therefore inotrope-dependent.

4 Twenty-five percent of the patients
5 in the primary cohort were all more than one
6 inotrope at the time, and 40 percent of the
7 patients were on intra-aortic balloon pump.
8 So a very ill, advanced heart failure
9 population.

10 You see the dynamics are obtained
11 while they were on this intravenous drug
12 support, and shows a very significant
13 reduction in cardiac index, elevation in
14 filling pressures on the left and right side,
15 with pulmonary capillary wedge pressures as
16 shown, and central venous pressure reflecting
17 right ventricle filling.

18 The systolic blood pressure was
19 reduced in all three cohorts, but the
20 observation is that the data is consistent
21 across all three cohorts presented.

22 There are a number of baseline

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 biochemistries. What we've chosen to show you
2 here is renal and hepatic function. The
3 average creatinine is as shown here, and
4 reflects mild to moderate renal insufficiency,
5 perhaps even moreso, even though the value is
6 slightly lower in the small cohort, but given
7 their small body size reflects an even lower
8 renal function and pre-renal azotemia.

9 Similarly, mild to moderate
10 increase in serum bilirubin and transaminases,
11 most particularly elevated in the small
12 cohort.

13 One of the surrogate markers of
14 poor prognosis and advanced heart failure is
15 serum sodium, and you see here levels of
16 significant reduction serum sodium, and
17 particularly in the small patient cohort,
18 again verifying the severity of illness across
19 all three cohorts.

20 This data is again shown as medians
21 and range, and I think you get a sense of the
22 tremendous variability and the severity of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 illness in these patients, with creatinines up
2 to over three, significant elevations in these
3 other parameters. There's a lower spread in
4 the serum sodium.

5 The cardiovascular history is also
6 reflective of patients with advanced heart
7 failure, and particularly the common finding
8 of arrhythmia as both atrial and ventricular.

9 In this particular group of patients,
10 ventricular arrhythmia has occurred in nearly
11 half of the patients.

12 Ventricular pacing was also present
13 in a majority of the patients, and nearly half
14 of these patients had failed biventricular
15 pacing and required mechanical support.

16 Typical of patients with advanced
17 heart failure, nearly three-quarters of the
18 patients had an internal defibrillator in
19 place at the time of the operation. It is of
20 note that there was a relatively high
21 percentage of pre-existing experience with
22 stroke that occurred in these patients, fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 similar across all three cohorts, reflective
2 of the common finding of atrial fibrillation,
3 poor ventricular function, apical clots and so
4 forth.

5 So in summary, this is very sick
6 population, that's consistent with end stage
7 heart failure and listing for transplantation
8 and not different because of their need for
9 mechanical support. These data are very
10 consistent across all three cohorts, in terms
11 of clinical variables, laboratory and
12 hemodynamic findings.

13 I'll turn the microphone over to
14 Dr. Pagani, who will present the outcome data.

15 DR. PAGANI: My name is Frank
16 Pagani. I'm a cardiac surgeon and Director of
17 the Heart Transplant Program and Center for
18 Circulatory Support at the University of
19 Michigan. I have no past or present financial
20 interest in Thoratec.

21 I will review with you the surgical
22 considerations for the trial and anti-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coagulation management. The primary end point
2 analysis, secondary end point analysis and the
3 proposed label cohort.

4 The surgical implant technique for
5 the Heartmate II pump is the same implant
6 technique as the Heartmate XVE, which
7 represents the current standard of care.

8 The surgical implantation for the
9 Heartmate II pump allows a shorter
10 cardiopulmonary bypass time as compared to the
11 Heartmate XVE pump.

12 The pump is a smaller size and
13 therefore the preperitoneal pocket required
14 for pump implantation is smaller, as well as
15 the easier process to prime and de-air the
16 pump.

17 No unique surgical challenges have
18 been identified in small body size patients,
19 and importantly, 30-day mortality for the
20 Heartmate II trial was observed to be one-half
21 that for the Heartmate VE trial, and we'll
22 review more of that data later.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 A recommended anti-coagulation
2 protocol was developed for the trial and
3 consisted of early intravenous heparin anti-
4 coagulation, followed by initiation of anti-
5 platelet therapy on post-operative Day 2 to 3.

6 Intravenous heparin anti-
7 coagulation was converted to oral warfarin
8 therapy on post-operative Day 3 to 5,
9 following removal of thoracostomy tubes.

10 Patients who have a
11 contraindication or do not tolerate anti-
12 coagulation therapy should not undergo
13 implantation of the Heartmate II, and this
14 should be included in the labeling.

15 Our review of the primary end point
16 analysis. Treatment success, as you recall,
17 was for the pre-specified end point analysis,
18 was defined as survival to transplant, or
19 survival at 180 days of LVAD support while
20 remaining listed for heart transplantation at
21 UNOS Status 1A or 1B.

22 Using the pre-specified analysis,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 67 percent of patients were defined as
2 treatment successes, with a lower confidence
3 limit of 60 percent, on an analysis that was
4 performed as of March 16th, 2007.

5 For the CAP cohort with 58 patients
6 with end points, 66 percent of patients were
7 defined as treatment successes, with a lower
8 confidence limit of 55 percent. For the
9 patients in the small body size cohort, 70
10 percent of patients were defined as a
11 treatment success, with a lower confidence
12 limit of 46 percent.

13 As requested by the FDA, a further
14 data analysis, a more recent data analysis was
15 performed on September 14th, 2007. At that
16 time period, there was increase in the number
17 of successful end points, number of successful
18 patients of 71 percent, with a lower
19 confidence limit of 64 percent.

20 This was due to five patients in
21 the primary cohort, who were previously
22 considered not successful outcomes because

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they were not actively listed at Status 1A or
2 1B, who subsequently underwent heart
3 transplantation and were defined as successful
4 outcomes in the primary cohort with the
5 updated analysis, thus reducing the number of
6 patients that were termed not successful
7 outcomes, who were listed at 180 days or not
8 listed at 1A or 1B.

9 A Kaplan-Meier survival analysis
10 was performed for the Heartmate II primary
11 cohort, as displayed in the blue. This
12 survival analysis was compared to 280 patients
13 supported by the Heartmate VE device, which
14 represents the standard of care.

15 These 280 patients also represent
16 the final labeling cohort for the PMA
17 submission for the Heartmate VE device.
18 Importantly, these data demonstrate an
19 equivalent or trend towards improved survival
20 for the Heartmate II device, as compared to
21 the Heartmate VE device.

22 Again importantly, what we noticed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or observed during this trial was a
2 significant reduction in 30-day mortality for
3 the Heartmate II device, as compared to the
4 Heartmate VE device.

5 A Kaplan-Meier survival analysis
6 was performed for the CAP cohort, and compared
7 to the primary cohort and to the Heartmate VE
8 device.

9 This analysis demonstrates an
10 equivalent or a continuing trend, with
11 improvement in survival for the CAP cohort,
12 with increasing clinical experience.

13 I will now review the alternate
14 analysis end point. As you recall, our
15 rationale for providing an alternate analysis
16 end point was that we believed that the pre-
17 specified end point was too restrictive,
18 requiring a listing at 1A and 1B, and was not
19 representative of all possible successful
20 outcomes, and did not reflect the dynamic
21 nature of heart transplant listing.

22 Using an alternate analysis for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 primary cohort of 126 patients, 75 percent of
2 patients was defined as treatment successes,
3 with a lower confidence limit of 68 percent.

4 For the CAP cohort of 58 patients,
5 78 patients were defined as treatment
6 successes, with a lower confidence limit of 69
7 percent.

8 For the small body size cohort, 80
9 percent of patients were defined as treatment
10 successes, with a lower confidence limit of 59
11 percent.

12 I will review secondary end points
13 now. The median duration of support time was
14 116 days for the study, with a cumulative
15 support time of 61 patient years. The median
16 length of stay for the indexed hospitalization
17 at pump implant was 25 days.

18 Eighty-four percent of patients
19 were discharged from the hospital. 74 percent
20 were discharged on LVAD support. Ten percent
21 of patients underwent heart transplantation
22 during the indexed hospitalization, and were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 discharged following heart transplantation.

2 Sixty percent of patients
3 discharged were readmitted to the hospital.
4 However, the percent of support time outside
5 the hospital was 75 percent.

6 I'll review causes of death.
7 Sepsis was the leading cause of death in the
8 primary cohort, and is reflective of the ill
9 nature of this group of patients.

10 Other causes of death included
11 multi-organ failure, stroke, device-related
12 deaths, right heart failure, anoxic brain
13 injury, bleeding and other causes of death.

14 Review of the CAP cohort causes of
15 death are similar to the primary cohort of
16 causes of death, and similar to -- actually no
17 observed deaths in the small body size cohort.

18 We are also presenting all patients
19 in the Heartmate II trial. These include
20 patients that have not achieved study end
21 points, and these causes of death are similar
22 in frequency and distribution to each of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cohorts.

2 Serious adverse events for patients
3 included bleeding requiring surgery, which was
4 the leading serious adverse event, occurring
5 in 29 percent of patients.

6 Other serious adverse events
7 included stroke, local infection, sepsis,
8 percutaneous lead infection and pump pocket
9 infection. The distribution of frequencies of
10 serious adverse events were similar for the
11 CAP cohort of 58 patients, and also similar to
12 the small body size cohort.

13 Other serious adverse events
14 included other neurological events, deep
15 venous thrombosis and pulmonary embolism,
16 device thrombosis, right heart failure,
17 cardiac arrhythmia, respiratory failure, renal
18 failure and hemolysis.

19 Again, the frequency and
20 distribution of serious adverse events for
21 this group was similar for the CAP cohort and
22 the small body size cohort.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Serious confirmed malfunctions
2 occurred in six percent of patients in the
3 primary cohort. The majority of these were
4 internal components.

5 For the CAP cohort, seven percent
6 of patients experienced serious confirmed
7 malfunction, again the majority of these being
8 internal components. In the small body size
9 cohort, the majority were all external
10 components.

11 LVAD replacement was required in
12 four percent of patients in the primary
13 cohort. There was three LVAD-related deaths
14 or 2.4 percent in the primary cohort. These
15 were similar to what was observed in the CAP
16 cohort. There was no LVAD-related deaths or
17 LVAD replacement in the small body size
18 cohort.

19 Again, reviewing for all patients
20 entered in the trial, including those who had
21 not reached primary end points, the
22 percentages of those requiring LVAD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 replacement in LVAD-related deaths is the
2 same.

3 Importantly, no purely mechanical
4 pump failures were noted during the trial in
5 any group.

6 57 percent of patients required
7 reoperation in the first 30 days following
8 LVAD implant for the primary group. This
9 reflects the critically ill nature of this
10 group of patients. The majority of
11 reoperations were related to bleeding.

12 This distribution of reoperations
13 was similar for the CAP cohort, and also for
14 the small body size cohort.

15 The smallest patient enrolled in
16 the trial had a body surface area of 1.3
17 meters squared. Fifteen of 279 patients or
18 five percent of the study population were
19 small body size. This is not a new
20 population, and a similar percentage was seen
21 in other Thoratec VAD trials.

22 The majority of the small body size

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients are women, and the small patients
2 appear to tolerate the pump equally well, and
3 appear to have similar outcomes and similar
4 rates of adverse events.

5 The similar results support our
6 extrapolation of data from the primary study
7 cohort, to small body surface area patients.

8 I'd like to review the proposed
9 labeling cohort now. The proposed labeling
10 cohort represents the first 194 patients
11 enrolled in the study, and consists of the 126
12 primary study cohort, the 58 CAP cohort, and
13 ten small body size cohort patients.

14 All patients have reached a study
15 end point, and this represents the most
16 complete dataset from the study, and
17 represents the longest follow-up and we
18 believe the most appropriate data summary for
19 clinicians and patients.

20 This is our graphical
21 representation of results from each of the
22 cohorts for the pre-specified end point and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the alternate end point. As you can see from
2 these data, each of the study cohorts have
3 similar outcomes.

4 There is no inferior or superior
5 results in any study cohort that would
6 influence bias or interpretation of data from
7 the proposed labeling cohort, and we believe
8 that the proposed labeling cohort is
9 reflective of the outcomes from the individual
10 cohorts.

11 For the alternate analysis, the
12 same observations apply. However, with the
13 alternate analysis, significant -- we have
14 reached the level of the 65 percent, with the
15 alternate analysis.

16 Using the proposed labeling cohort
17 with the pre-specified analysis, 67 percent of
18 patients were defined as a treatment success,
19 with a lower confidence limit of 61 percent.

20 Using the pre-specified analysis
21 updated to a September 14th, 2007 analysis, 70
22 percent of patients were defined as a study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 success, with a lower confidence limit of 65
2 percent.

3 Using the alternate analysis as of
4 March 16th, 2007, 76 percent of patients were
5 defined as a treatment success, with a lower
6 confidence limit of 61 percent, surpassing the
7 lower confidence limit of greater than 65
8 percent.

9 A Kaplan-Meier survival analysis
10 was performed for the proposed labeling
11 cohort, and compared to the 280 patients
12 supported by the Heartmate VE. Again,
13 remember that this 280 patients represents the
14 final labeling cohort for the PMA submission
15 for the Heartmate VE.

16 There is a significant improvement
17 in survival by lab rank analysis for the
18 Heartmate II, compared to the Heartmate VE,
19 which represents the current standard of care.

20 A comparison of serious adverse
21 events was performed between the proposed 194
22 patients in the proposed labeling cohort, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 compared to the 280 patients on the VE cohort.

2 Only definitions with similar -- only serious
3 events with similar definitions between the
4 two trials were used for comparison.

5 When you compare the incidents or
6 rates of serious adverse events for stroke,
7 other neurological events, bleeding requiring
8 surgery, percutaneous lead infection and right
9 heart failure requiring a right ventricular
10 assist device, there was a significant
11 reduction in each of the serious adverse
12 events.

13 When displayed graphically as a
14 relative risk, there was a significant favor
15 of reduction in relative risk favoring the
16 Heartmate II device for stroke, other
17 neurological events, bleeding requiring
18 surgery, percutaneous lead infection and right
19 heart requiring right ventricular assist
20 device.

21 Transplant survival between the
22 primary cohort and proposed labeling cohort

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 was similar at 30 days and one year post-
2 transplant.

3 Survival at 30 days was 97 percent.

4 Survival at one year was 83 percent.

5 These survival figures are consistent with
6 data from national registries.

7 In summary, the pre-specified
8 primary cohort analysis did not meet the OPC.

9 They missed the OPC by one percentage point,
10 a representation of two patients.

11 An alternative analysis of the
12 primary cohort exceeded the OPC, 68 percent,
13 versus the lower confidence limit of greater
14 than 65 percent.

15 The Kaplan-Meier survival analysis
16 favorably compares to the current standard of
17 care, which is the Heartmate Vented Electric
18 Device. In a significant proportion of the
19 population, the use of the device would
20 provide clinically significant results, and
21 the data clearly demonstrates reasonable
22 assurance of efficacy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Adverse events are within
2 acceptable norms, given the critically ill
3 nature of the population of patients that are
4 being operated upon. No new risks were
5 identified.

6 No pure mechanical pump failures
7 were identified in the study, and the proposed
8 labeling cohort demonstrates significant
9 improvement in the five comparable adverse
10 events relative to the Heartmate VE.

11 The indication for use should be
12 the same as the approved bridge to transplant
13 ventricular assist devices. Importantly, the
14 decision to implant the device should be based
15 on an individualized assessment of the body
16 habitus, and not an arbitrary body surface
17 area limit.

18 It should include a
19 contraindication for patients that do not
20 tolerate anti-coagulation, and we recommend
21 utilizing the proposed labeling cohort
22 dataset, as it represents the largest and most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 complete dataset. Thank you. I'll turn the
2 podium over to Dr. Miller.

3 DR. MILLER: Thank you. There is a
4 very appropriate focus on the outcomes of
5 adverse events and survival. But from the
6 beginning of the trial, we were very
7 interested in the ability of these devices to
8 improve functional capacity and quality of
9 life. I'll review that data for you now.

10 We employed five different metrics
11 to assess the quality of life and functional
12 capacity. Shown first here in the primary
13 cohort only is the six minute walk distance.

14 You see how impaired these patients
15 were at baseline, and how rapidly they
16 improved their functional capacity in six
17 minute walk distance, portrayed here in meters
18 walked during the six minutes.

19 There was a substantial difference
20 between baseline in as early as 30 days, and a
21 continued improvement in this primary cohort
22 by six months.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 By comparison, if you look at the
2 published meta-analysis on the improvement
3 derived from biventricular pacing trials, it
4 averaged between 40 and 50 meters, and we're
5 showing you here an improvement of over 300
6 meters.

7 When you compare side by side the
8 labeling cohort and the primary cohort, I
9 think you can see that this trend of
10 significant improvement over time was
11 consistent across all three cohorts.

12 A second metric for looking at
13 functional improvement was the assessment of
14 New York Heart Association Class 1 or 2,
15 limited functional limitations. Again, all
16 patients were Class 4 at the time of the
17 operation and enrollment in the trial.

18 You can see that by one month,
19 nearly two-thirds of the patients had achieved
20 the New York Heart Association Class 1 or 2,
21 and a continued improvement over time, such
22 that by six months, nearly all of the patients

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 had achieved a Class 1 or 2 functional
2 capacity.

3 These observations were true not
4 only in the primary cohort, but were very
5 similar across the three cohorts in the
6 labeling group.

7 The third was a gross look at
8 patient activity as assessed by the patient,
9 and percentage improvement was described on
10 the Y axis.

11 I think you can see the very
12 limited functional capacities of patients at
13 baseline, and a continual improvement across
14 time, consistently across all the patients in
15 the primary cohort, and again a consistent
16 improvement of all patients in the proposed
17 labeling cohort, showing a very consistent
18 improvement of functional capacity across the
19 three metrics that were employed in this trial
20 in all three cohorts.

21 We used two tools commonly employed
22 in heart failure patients to assess their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quality of life, shown here initially as the
2 Kansas City cardiomyopathy questionnaire.
3 This tool uses an improvement in quality of
4 life as an improvement in score.

5 This is a very low baseline,
6 reflecting a very poor quality of life in
7 these patients, and an almost unprecedented
8 improvement, a doubling in the value of this
9 score to 60 by six months in these patients.

10 You can see that there is a 50
11 percent improvement as early as 30 days
12 following a major operation in the self-
13 assessed quality of life by the Kansas City
14 tool.

15 That data was in the primary
16 cohort, but it was very impressive on how
17 nearly identical the scores were across all
18 three cohorts and the proposed labeling
19 cohort. So very consistent data across all
20 three study groups.

21 Finally, the Minnesota Living With
22 Heart Failure was the second comparison of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 quality of life.

2 In contrast to the Kansas City
3 cardiomyopathy score, where an increasing
4 score reflects improvement with the Minnesota
5 Living With Heart Failure questionnaire, a
6 high score in the beginning improvement
7 reflects a reduction in the score, again very
8 similar, a very significant reduction as early
9 as 30 days and an improvement in their quality
10 of life, a very significant reduction and
11 overall improvement in the primary cohort.

12 Much like the Kansas City
13 cardiomyopathy questionnaire, you can see that
14 there was very consistent data across this
15 cohort.

16 One of the most challenging and
17 most important issues in mechanical support
18 would be the assessment of neurocognitive
19 function, if there were any adverse
20 consequences to placement of this type of
21 device, particularly this change in our entire
22 understanding, moving from pulsatile support

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now to continuous flow.

2 Eleven of the twenty-six
3 participating sites were engaged in performing
4 neurocognitive tests, and they occurred in 64
5 patients in the primary cohort, a total of 83
6 assessments.

7 The patients were evaluated one
8 month, which was to determine what we refer to
9 as their baseline, and then an opportunity to
10 reassess their neurocognitive function at
11 three and six months post-op.

12 Due to the number of patients who
13 were transplanted who were ill, or who
14 actually refused participation in a follow-up
15 tests, there were very few patients who had
16 impaired tests.

17 What we can say from the data is
18 that there was no evidence of neurocognitive
19 decline in these patients at three and six
20 months post-op. There were, however,
21 significant improvements at these time points,
22 in both auditory and visual memory scores.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 These trends continued in the
2 proposed labeling cohort, in addition to the
3 primary cohort.

4 So in summary, I think that we've
5 demonstrated a very consistent improvement in
6 multiple health status measures of quality of
7 life and functional capacity, including New
8 York Heart Association functional class, six
9 minute walk, patient activity score and the
10 two metrics for quality of life.

11 There was really extraordinary
12 consistency across all three study cohorts.
13 The trends in neurocognitive seen were
14 supportive of the improvements seen in quality
15 of life and functional capacity, and did show
16 this improvement in auditory and digital
17 memory.

18 But importantly, there was no
19 evidence of any neurocognitive decline in this
20 study. I'll turn the podium back over to Don
21 Middlebrook.

22 MR. MIDDLEBROOK: Thank you, Les,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and thanks to all of the Thoratec presenters
2 for that excellent presentation. I'm going to
3 wrap things up with a few closing remarks
4 here.

5 In regards to the post-market
6 considerations for the Heartmate II, Thoratec
7 has developed a comprehensive plan to ensure
8 that our commercial Heartmate II experience is
9 equal or better than what we've seen during
10 the clinical trial.

11 The plan includes a rigorous, well-
12 defined training and education program. This
13 consists of a day and a half off-site
14 training, both didactic and includes an animal
15 lab. We also have comprehensive labeling for
16 the Heartmate II users, a user handbook and a
17 user manual and a patient handbook.

18 We also do device tracking in
19 accordance with 21 C.F.R. Part 821. We have a
20 worldwide service tracking database. So all
21 of the hardware and axillary components that
22 you saw Steve present, that are used in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conjunction with the pump itself, are tracked
2 for service.

3 We also report MDRs and user
4 facility reports are reported, in compliance
5 with 21 C.F.R. Part 803. We also have
6 anticipated in our PMA and proposed to the FDA
7 a robust post-market study, using the
8 INTERMACS registry. I want to talk a little
9 bit more about that.

10 The post-market study that we have
11 proposed for the Heartmate II utilizes the
12 interagency registry of mechanical-assisted
13 circulation support, INTERMACS for short.

14 This is a VAD-specific registry
15 developed for the use of tracking the
16 performance of VADS in a commercial setting.
17 It was developed in partnership between the
18 NHLBI, the FDA, CMS, clinicians and industry
19 including Thoratec was involved in the
20 development of this important registry.

21 The study we are proposing utilizes
22 the INTERMACS registry, and our primary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 objective for the study is to assess patient
2 outcomes.

3 We are also tracking secondary
4 objectives that include adverse events,
5 clinical reliability, quality of life,
6 reoperations, a neurocognitive evaluation and
7 one year post-explant evaluation.

8 I also want to point out that all
9 of the data requirements that were determined
10 and baked into the INTERMACS registry were
11 determined by leading authorities and experts
12 in these devices, to be all that really is
13 necessary to be collected, to characterize the
14 performance of a ventricular assist device in
15 a commercial setting.

16 With regards to the Heartmate II
17 labeling, a couple of points I want to make
18 here. The indications for use that we have
19 proposed for this device and the proposed
20 labeling are identical to the indications for
21 use that are in the improved ventricular
22 assist devices for all VADS, for bridge to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transplantation.

2 There's no differences. We've not
3 added anything or subtracted anything. That's
4 not only the Thoratec devices but all the
5 devices currently approved for bridge to
6 transplantation.

7 We are encouraging the FDA to
8 consider the proposed labeling cohort that we
9 have provided, because it really is the
10 largest and most complete dataset from this
11 study, with 194 patients having at least 180
12 days of follow-up. We think that's really
13 most appropriate for the user community.

14 We also recommend that the small
15 patients, with a body surface area of less
16 than 1.5, not be excluded from the labeling,
17 because we believe the data that we have
18 presented here can be extrapolated to those
19 small patients.

20 In summary, from a clinical trial
21 perspective, again, this is the largest
22 dataset, with 279 patients, that has been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 submitted in support of an implantable VAD
2 PMA. As I mentioned earlier, the pace of
3 trial enrollment is unparalleled in the
4 history of this technology.

5 From a safety perspective, the
6 Heartmate II adverse event rates compare
7 favorably to previous devices studied,
8 including the Heartmate VE, in adverse events
9 with definitions that could be compared.

10 The 30-day perioperative mortality
11 is ten percent. That's half of what we've
12 seen with the Heartmate VE and the bridge to
13 transplant studies they have performed.

14 The Heartmate II results show
15 consistent and predictable product performance
16 across all the cohorts that we have analyzed
17 and presented to you here this morning.

18 From an effectiveness standpoint,
19 the Heartmate II provides similar survival
20 benefit, as other PMA-approved devices in this
21 critically ill patient population, despite
22 support durations that are two to five times

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 longer than these other devices.

2 The Heartmate 2 30 day post
3 transplant survival is 97 percent and one year
4 post-transplant survival is 83 percent. I
5 think that speaks to the clinical utility of
6 this device.

7 In conclusion, these data
8 demonstrate a reasonable assurance that the
9 Heartmate II is safe and effective, by all
10 clinical measures evaluated, including
11 survival, adverse events, functional status,
12 neurocognitive function and quality of life.

13 I want to thank all of the panel
14 members for their time and attention. That
15 concludes our presentation.

16 CHAIRMAN LASKEY: I'd like to thank
17 the sponsor for a very concise and complete
18 presentation of the data set. Does anyone on
19 the panel have a question or questions for the
20 sponsor, keeping in mind that we reserve that
21 right this afternoon to ask the sponsor
22 questions also.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Finally, if anyone has extensive
2 questions for the sponsor, you might want to
3 get that on the floor now, so we can respond
4 more completely this afternoon. We're doing
5 well on time, so hopefully we can have the
6 early phase of the questioning. Dr.
7 Lindenfeld, yes.

8 DR. LINDENFELD: You've shown us
9 comparisons between the Heartmate II and the
10 XVE. Could we see a demographic comparison of
11 those two groups? I just would like to see
12 age, ejection fraction, all the basic things
13 that predict outcomes.

14 If we can't do it right this
15 minute, I think we need to see that later.
16 You've shown us that they're comparable
17 results, but we need to see that they are
18 comparable demographics.

19 MR. MIDDLEBROOK: We don't have it
20 handy, but we will pull that together for you
21 and present that to you.

22 DR. LINDENFELD: It's hard to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701