

1 distinction in my mind. Given the fact that  
2 the device we're discussing today actually  
3 uses the blood as its lubricant, the  
4 anticoagulation, my understanding from  
5 physicians who have been dealing with this  
6 implant, it's a very --

7           You're walking a tightrope between  
8 keeping the blood thin enough to actually  
9 lubricate this device, all the while you need  
10 to have hemostasis from your surgical  
11 procedure.

12           So in the previous generation, you  
13 do not have this sort of mandatory  
14 anticoagulation. So you're able -- that would  
15 be part of the reason for making the decision?

16           DR. PAGANI: No, not all first  
17 generation or pulsatile pumps. Some of the  
18 first generation or pulsatile pumps do require  
19 anticoagulation.

20           The Heartmate XVE is unique and  
21 it's one of the properties. It doesn't  
22 require anticoagulation with heparin or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 warfarin long term.

2           So that's one of the unique  
3 advantages of that particular device. But  
4 there are other devices made by other and by  
5 Thoratec that are pulsatile and do require  
6 anticoagulation in the early postoperative  
7 period.

8           CHAIRMAN LASKEY: Dr. Kelly?

9           DR. KELLY: Hi. Just getting back  
10 for a second to the question of gender and  
11 bleeding. I thought I understood Dr. Pina's  
12 data to show that there was a higher risk of  
13 reoperation for bleeding in women after the  
14 first 30 days. Is that --

15           DR. PAGANI: After the first, but  
16 not in the perioperative period.

17           DR. KELLY: Okay, thank you.

18           DR. PAGANI: Those causes were most  
19 likely other causes, other than the operation  
20 itself.

21           CHAIRMAN LASKEY: Why the triple  
22 therapy? Why the aspirin, dipyridamole and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coumadin?

2 DR. PAGANI: I think our initial  
3 concern was anti-platelet therapy would be an  
4 important component of the anticoagulation  
5 strategy. There is a fair amount of aspirin  
6 resistance in the perioperative period  
7 following cardiac surgical procedures.

8 So that was the rationale for  
9 double anti-platelet therapy.

10 CHAIRMAN LASKEY: Warren?

11 DR. EDMUNDS: Yes, but I don't  
12 think those are very good choices. Rather  
13 than tie up people in the room getting into  
14 the details of anticoagulation protocols, all  
15 I'm suggesting is that this is something that  
16 needs to be standardized, really rigorously  
17 looked at, and aspirin resistance, 30 percent  
18 of patients maybe.

19 But aspirin doesn't completely  
20 inhibit the platelet anyway. So a lot of  
21 confusion here.

22 CHAIRMAN LASKEY: But plenty of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 fuel for a post-approval study recommendation.

2 DR. PAGANI: Okay, thank you.

3 MR. MIDDLEBROOK: Dr. Lindenfeld, I  
4 believe that you had a few questions regarding  
5 our neurocognitive evaluation, and why the  
6 test's baseline was at 30 days after device  
7 implant. I'd like to invite Dr. Ralph  
8 Petrucci, who is our neurocognitive expert, to  
9 come up and address that question.

10 DR. PETRUCCI: Dr. Laskey, panel  
11 members, Ralph Petrucci from Philadelphia,  
12 Drexel University College of Medicine. In the  
13 way of disclosures, first Thoratec  
14 neuropsychological consultant. Secondly, the  
15 FDA neurodevice panel consultant. Thank you.

16 We anticipated some design problems  
17 and questions with regard to starting folks at  
18 30 days out with an initial cognitive  
19 evaluation. I might back up and just give you  
20 a little bit more of a history, and why we  
21 decided to do it at 30 days starting, and then  
22 preceding on a monthly basis after that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Some of our earlier research, which  
2           took some 18 years for me to accumulate,  
3           actually had an opportunity to compare those  
4           patients that were being considered for heart  
5           transplant with those patients that were  
6           immediately being considered for LVAD implant,  
7           and a variety of LVADs, not just the  
8           Heartmate.

9           In comparing the two groups, we  
10          found that obviously the prospective heart  
11          transplant patients were sicker, and sicker  
12          than obviously other end stage heart failure  
13          patients.

14          However, when we compared the LVAD  
15          patients with the end stage heart failure  
16          patients that were going into prospective  
17          heart transplant, we found that they were even  
18          sicker and sicker for a number of reasons.

19          They were fragile, metabolically  
20          more unstable, and they were on usually double  
21          inotropes. Given that, we decided not to do  
22          any preoperative testing for the LVAD

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients, and then just consider them at a 30-  
2 day interval, and then three months, six  
3 months, and yearly after that.

4 It's true that they are heart  
5 patients, yes. They're different than CABG  
6 patients and they're different than heart  
7 transplant, prospective heart transplant  
8 patients. So the idea was to give everybody  
9 an opportunity to get to the gate together at  
10 30 days, and get a good start.

11 Then serially test people with the  
12 same alternate versions of the measures,  
13 giving them all an equal opportunity to learn.

14 The good news is that over time, the patients  
15 learned.

16 The not so good news is that it  
17 tweaks methodology and design. There's always  
18 a question with regard to why we would do this  
19 without having a pre-implant post-implant  
20 measure.

21 It makes it difficult from a  
22 neurocognitive perspective to administer these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tests at different institutions. You can  
2 appreciate how difficult it is to train 11  
3 centers, and to ask the, in this case the  
4 nurse practitioners, to implement these  
5 neurocognitive tests given their other duties  
6 and responsibilities.

7 Given that, the nurse practitioners  
8 were able to reliably gather information under  
9 very difficult circumstances, with sometimes  
10 an uncooperative patient.

11 As a consequence of that, we have  
12 patients who did not want to complete the  
13 neurocognitive examination; folks that did not  
14 want to complete the quality of life scale at  
15 certain intervals; and in addition, obviously  
16 there was transplant end death as a  
17 consideration.

18 So there are multiple factors that  
19 contribute to this particular design. They're  
20 not easy to answer. The psychometrics are  
21 very difficult to implement. It's an  
22 annoyance, at best, to the surgeons, an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 annoyance at best to the attending  
2 cardiologists, and certainly an annoyance with  
3 the patients.

4 DR. LINDENFELD: Let me just  
5 clarify then, and that's helpful. But the  
6 problem I have is we don't know if these  
7 patients actually were improving, or if they  
8 were just improving from the surgery.

9 Then you add on top of that, as you  
10 said, the learning effects. I know you said  
11 it was good, but it's not good in a  
12 neurocognitive test because it alters how you  
13 interpret the results.

14 You don't want the patients to  
15 learn from the last one and just do better  
16 because they learned it, but they're not  
17 getting any smarter.

18 So I mean we can't really say if  
19 these patients' neurocognitive function  
20 improved, separate from the fact that they  
21 clearly, a group of them went down after the  
22 surgery and we would expect them to improve

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anyway. Is that a fair -

2 DR. PETRUCCI: It's fair to a  
3 degree, in that each patient was compared with  
4 him or herself number one, but primarily there  
5 was a between-group comparison. So it offers  
6 an opportunity for each patient to take a look  
7 at his or her own track record, and to reflect  
8 on their level of improvement over time.

9 Most of these patients, as I have  
10 learned, have difficulty recalling their  
11 surgeon's name at 30 days after transplant,  
12 yet alone the cognitive test.

13 I think that it's a valid issue,  
14 trying to recall information or the idea that  
15 information may be recalled following the  
16 initial psychometric evaluation. That's  
17 always a risk.

18 However, my experience with a  
19 larger LVAD population over time suggests that  
20 they continue to have memory problems, and  
21 they're not likely to remember much about the  
22 serial cognitive evaluations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   With respect to your question about  
2 time, which you brought up earlier, time's an  
3 important factor. It also is a reflection of  
4 their health, the dimensions of their health.

5                   So obviously as they improve their quality of  
6 life, their stamina and their conditioning  
7 improves, their time and performance improves.

8                   We found this in earlier research  
9 and also with heart transplant evaluations  
10 pre- and post-, that over a period of time  
11 these patients tend to show better  
12 performance, improved strength and more  
13 adequate response.

14                   DR. LINDENFELD: But you would  
15 agree that there's a clear learning response  
16 in these that affects --

17                   DR. PETRUCCI: Yes, there's always  
18 that.

19                   DR. LINDENFELD: Maybe as long as  
20 you're there, you can tell us how many of the  
21 baseline studies at 30 days were done on  
22 inpatients? How many of the patients were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inpatients at that time?

2 DR. PETRUCCI: I don't have that  
3 number.

4 DR. LINDENFELD: Again, these are  
5 difficult tests to do, and I appreciate your  
6 explanations and expertise. But I think one  
7 of the problems with some of these tests, as I  
8 said earlier, are time tests, and that depends  
9 on your concentration and your ability to  
10 function, not just on your cognitive function.

11 We would all agree that if the  
12 patients weren't ready to go home, they were  
13 probably still pretty physically impaired. So  
14 again, I still think none of these  
15 neurocognitive tests, the data that you've  
16 shown us, gives me any confidence that the  
17 patients actually got any better than just  
18 recovering from the surgery itself to some  
19 extent.

20 CHAIRMAN LASKEY: The points are  
21 well-taken, and I think we're just going back  
22 and forth. But thank you. Were there any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       unanswered -- did you have another point you  
2       wanted to make?

3                   DR. PETRUCCI:       I may want to  
4       address Dr. Swain's comment about Trailmaking  
5       B as being an integral part of the cognitive  
6       evaluation.   Trailmaking B is an interesting  
7       subtest and I won't belabor the point.   It's  
8       been around a long time, and it's probably one  
9       of the most sensitive cognitive, single  
10      cognitive tests to be utilized.

11                   It is complex.   It requires visual  
12      motor, visual-spatial ability; it requires  
13      time, and it requires a certain component of  
14      executive and abstract functioning.

15                   I think by itself, it's an adequate  
16      measure from an INTERMACS perspective.   I  
17      don't think it should be the only measure.   I  
18      think there should be more measures.   But it  
19      is a powerful single little tool by itself.

20                   We've learned over time that the  
21      more tools that we administer psychometrically  
22      in more institutions, by different people, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more likely we are to respond to occur, for  
2 unreliability to occur.

3 DR. LINDENFELD: Is there a  
4 learning function in the Trailmaking B?

5 DR. PETRUCCI: There is.

6 DR. LINDENFELD: So this is going  
7 to be repeated on multiple occasions. So each  
8 occasion has a learning function?

9 DR. PETRUCCI: It does.

10 DR. LINDENFELD: So it's not just  
11 from one to two but two to three and three to  
12 four. So the expectation is that it would  
13 improve in any group of people, all of us  
14 sitting here would improve a month from now if  
15 we took it today; is that correct?

16 DR. PETRUCCI: Hopefully, yes.

17 (Laughter.)

18 CHAIRMAN LASKEY: Thank you.

19 DR. LINDENFELD: After this, I may  
20 not.

21 CHAIRMAN LASKEY: Thank you. Okay.

22 Let me put this to rest, but you can --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. EDMUNDS: Dr. Petrucci, as you  
2 work out the post-market protocol, are you  
3 comfortable with having nurses do these tests?

4 I'm told that it's usually better to do it by  
5 a neurologist. Can you create a control  
6 group? Aging has been shown to be not  
7 improved cognitive function. Let's just put  
8 it that way for diplomacy.

9 DR. PETRUCCI: Preferably, I would  
10 like to have neuropsychologists at each  
11 institution perform the test. This is not the  
12 real world, however. Out of the sites that we  
13 surveyed and worked with, two sites had  
14 neuropsychologists. One site had speech  
15 pathologists.

16 Those three sites were extremely  
17 accurate and required very little follow-up.  
18 They did very well by themselves. However,  
19 the remaining sites required continued  
20 tutelage.

21 So I would suggest that in the  
22 ideal world, we'd like a group of well-trained

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cognitive neuropsychologists to be doing that.

2 DR. EDMUNDS: If I could interrupt.

3 I don't think you need to solve the problem  
4 here now. I just was trying to point it out,  
5 that I think it ought to be developed in your  
6 post-marketing protocol.

7 DR. PETRUCCI: Okay, thank you.

8 CHAIRMAN LASKEY: Thank you.

9 MR. MIDDLEBROOK: I would just like  
10 to invite Les Miller back up to the podium for  
11 another brief comment.

12 DR. MILLER: I just wanted to  
13 respond to Dr. Page's question. I think the  
14 inference was that we'll have both on the  
15 market and they'll kind of keep pace together.

16 If it's a patient preference, it  
17 will be an overwhelming transition to this  
18 type of continuous flow pump. On a side by  
19 side comparison of size, and this is noiseless  
20 operation versus the sound of the valves  
21 clicking and the gas exchange.

22 So I think you need to get the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sense that if this were to be approved, it's  
2 the first of a series of this type of design  
3 pumps, which I think will become state of the  
4 art in the field in a very short period of  
5 time.

6 DR. LINDENFELD: Not to mention  
7 durability.

8 DR. MILLER: To be sure.

9 DR. EDMUNDS: Thank you, and that  
10 was indeed my question, and perhaps if Dr.  
11 Lindenfeld could estimate, for me at least,  
12 what she thinks might be the penetration of  
13 this technology versus the previous in your  
14 own practice, if this were available.

15 DR. LINDENFELD: I think the  
16 majority of the patients will very quickly  
17 switch to this technology, assuming that  
18 bleeding problems, we don't see some of these  
19 bleeding problems.

20 DR. EDMUNDS: So might it be that  
21 the alternate technology might be reserved for  
22 cases where bleeding was an issue,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anticoagulation compliance might be a problem?

2 DR. LINDENFELD: Long term  
3 anticoagulation, yes.

4 DR. EDMUNDS: Thank you.

5 MR. MIDDLEBROOK: I'd like to ask  
6 Dr. Pagani to come back up and maybe add some  
7 more comments to Hank's question.

8 DR. PAGANI: The one thing that I  
9 would want to say is that is -- the problem  
10 with bleeding is not unique to this device,  
11 and the problem with uniformity is that we  
12 really don't know.

13 There's a lot of experts in the  
14 field. We really don't know what measures are  
15 important to monitor post-operatively or  
16 interoperatively.

17 There have been a lot of, as you  
18 know, using utilization of TEG to be helpful  
19 and there's a lot of disagreement about the  
20 utility of TEG to help make interoperative  
21 decisions.

22 So there's not agreement on a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 methodology by which we should monitor  
2 bleeding, or there's not uniformity on the  
3 methodology by which we can solve the bleeding  
4 problem. So there's some of the difficulties  
5 in trying to incorporate that into a post-  
6 market follow-up study.

7 DR. EDMUNDS: Well that's exactly  
8 what I'm trying to point out.

9 DR. PAGANI: What I'm saying is, I  
10 don't think anybody has the correct answers,  
11 in terms of --

12 DR. EDMUNDS: I disagree with that.  
13 I don't know anyone has an optimal answer,  
14 but a lot of people have a lot better answer  
15 than you have now. That's the point I'm  
16 trying to make.

17 You don't know about plasma tissue  
18 factors circulating; you don't know about F1.2  
19 or D-dimer, getting these measurements. You  
20 don't know the platelet inhibitor. You don't  
21 know about the HemoSense Test of Coumadin  
22 Anticoagulation, and TEG has never been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 validated.

2 DR. PAGANI: Correct.

3 DR. EDMUNDS: Yet the company is  
4 making millions over a device that's useless.

5 DR. PAGANI: Correct.

6 CHAIRMAN LASKEY: Thank you, Hank.

7 Dr. Kato?

8 DR. KATO: You know, one other  
9 problem with some of the data, and again this  
10 is going to be a center thing, a surgeon  
11 thing, a patient thing, but you know, you look  
12 at the volume mortality relationship by  
13 center, and it's an absolute scattergram.

14 I mean the results are all over the  
15 place. You've got high volume places doing  
16 great work; you have high volume places doing,  
17 you know, with low success rates. You have  
18 low volume doing high success rates, you know,  
19 and I was talking to some of the other  
20 surgeons about this.

21 They said well, you know, it's all  
22 patient selection. It's maybe something we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 doing in the operating room.

2 But one of the things about this  
3 new technology, in which I think I'm actually  
4 very optimistic about this technology, is that  
5 its widespread use without more uniformity, as  
6 Dr. Edmunds is talking about, is going to  
7 create, you know, even worse scatter and  
8 probably worse outcomes, unless there is some  
9 consensus and standardization within the group  
10 of people that you're going to promote this  
11 device with, at least initially, as the  
12 technology and experience spreads out.

13 DR. PAGANI: I certainly agree with  
14 all these comments. I think they're very  
15 valid comments. But I think also that's part  
16 of what the purpose of INTERMACS is, that we  
17 learn some of these things and do it on a  
18 global fashion.

19 I don't think we can incorporate a  
20 lot of these ideas necessarily into one little  
21 post-market surveillance study. I think these  
22 are major issues that have to be attacked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 globally with many centers, and looking at  
2 multiple devices.

3 So I don't think we can answer, we  
4 can solve the question of bleeding with a  
5 post-market surveillance study. I think it's  
6 totally impossible. I think it's a broader  
7 problem that requires more data than what can  
8 be gathered in 70 or 80 patients.

9 That's why I think the INTERMACS  
10 would be very important, because it looks at  
11 this problem for multiple centers and for more  
12 devices.

13 DR. KATO: And I'm sorry, one other  
14 question, since you bring up INTERMACS again.  
15 How is that data going to be disseminated?  
16 Is that -- how transparent is this  
17 organization?

18 DR. PAGANI: This organization is  
19 public, so the data is publicly-available. So  
20 you can actually -- my position in INTERMACS  
21 is I'm the chair of the Data Access and  
22 Analysis Committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So that we are promoting access to  
2 the public, not raw data, but suggesting  
3 scientific questions to be answered. We would  
4 analyze the data and make it very public.

5           DR. KATO:           So much like  
6 Massachusetts, New York, some of these full  
7 reporting states for bypass surgery, the  
8 anticipation is you're going to be publishing  
9 this data on an annual basis, center-specific,  
10 maybe surgeon specific?

11          DR. PAGANI:   Not center specific,  
12 and certainly -- again, Dr. Naftel can speak  
13 to the specifics of that, but not to that  
14 level. There has to be some priority given to  
15 blinding specific devices too.

16          DR. KATO:   Well, it sounds like  
17 you're potentially, forgive me for saying  
18 this, blinding the public rather than blinding  
19 the devices.

20                I mean I think that if you're going  
21 to be a registry and you know, you are in  
22 favor of public access and transparency, then

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the right thing to do is to make that data  
2 published on an annual basis, and make it  
3 center-specific as well.

4 DR. PAGANI: But there is also some  
5 concern, and I'll let Dr. Naftel speak to  
6 this, but there's also some concerns if the  
7 data's going to be used as a means of post-  
8 market surveillance, or eventually hopefully  
9 be used as a way of monitoring devices in a  
10 trial, that components of that data cannot be  
11 made available to the public, especially if  
12 it's trial data, until the trial is completed.  
13 So those are potential concerns with --

14 DR. KATO: But after today, if this  
15 gets PMA approval, then we're out of the trial  
16 phase.

17 DR. PAGANI: Correct.

18 DR. KATO: So with all due respect,  
19 you can't hide behind that excuse.

20 DR. PAGANI: No, we're not. No,  
21 we're not hiding behind that excuse.

22 CHAIRMAN LASKEY: Norm, I think the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way the registry is run and the dynamics of it  
2 and the politics of it are beyond the scope of  
3 our discussion. We should get to the  
4 questions and the order at hand. But unless  
5 Dr. Naftel, you have a --

6 DR. NORMAND: I do have a question  
7 that wasn't answered, so I just --

8 CHAIRMAN LASKEY: Let him speak to  
9 the one burning issue here, which is  
10 concerning Dr. Kato.

11 DR. NAFTEL: I'll be very brief.  
12 First of all, please go to intermacs.org, and  
13 you'll see the most transparent process you'd  
14 ever want to see. The reports that we've  
15 generated so far are there, and everything you  
16 want to know. All the data elements,  
17 everything we've done, all the presentations.

18 We do have a quarterly report that  
19 goes out to the federal partners. We produce  
20 reports to all of the companies, industry, and  
21 to each individual institution. They get an  
22 analysis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           We have not elected to provide the  
2 per institution analyses to the public yet.  
3 Certainly, that's something we can discuss.  
4 But as you can imagine, the number of devices  
5 per institution is actually pretty low for any  
6 sort of analysis, where you'd start to want to  
7 rank institutions.

8           But it's all in place. Please to  
9 go to intermacs.org. That sounded like an  
10 advertisement.

11           DR. MASSIE: Don't step down yet.  
12 I do want to ask a question. In terms of  
13 post-market surveillance and post-marketing  
14 approval studies, what are the road blocks to  
15 comparing this device to other devices in the  
16 registry?

17           I know that the Heartmate II is not  
18 in the registry now, although you're prepared  
19 to capture the data once the device is  
20 approved, I think.

21           You could then, of course, compare  
22 those data to some other device, where the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 data are in the registry, a contemporaneous  
2 device.

3 DR. NAFTTEL: Absolutely. As we've  
4 said several times, there are five approved  
5 devices, and all of those are going in now.

6 As soon -- if Heartmate II, as soon  
7 as it's approved, those patients with this  
8 approved device will go into INTERMACS,  
9 regardless of the decision here about using  
10 INTERMACS for post-market surveillance.

11 DR. MASSIE: But what is the  
12 limitation, is there is one, based on privacy  
13 or commercial things, to comparing outcomes of  
14 these 194 or 200 or whatever it is people in  
15 the United States, whose data exists for the  
16 Heartmate II device to Heartmate XVE, put it  
17 in the same time window and other devices.

18 That's not -- and then going  
19 forward, one would really like -- everybody's  
20 been saying where is the comparator? Well,  
21 you've got the comparators. There may be  
22 adjustment things that will be complicated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 statistically, but will that be available?

2 Because right now, there is some  
3 proprietary limitations, right?

4 DR. NAFTEL: The answer is shorter  
5 than the question. There are no roadblocks.  
6 We see none at all. All the patients are  
7 consented for their information to go to  
8 device companies, and I don't see any  
9 roadblocks.

10 DR. MASSIE: I guess the FDA gets  
11 it, I guess.

12 DR. NAFTEL: FDA's right in the  
13 middle, yes.

14 CHAIRMAN LASKEY: I guess that's  
15 how a registry should work. My main concern  
16 about the registry is what if you don't get  
17 the money to support it? You know, we're left  
18 hanging here. I mean we all hope that doesn't  
19 happen, but funds do dry up.

20 I'm part of a registry at the  
21 moment where the NHLBI funds are no longer  
22 available, and now what?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NAFTEL: Yes. Certainly,  
2 that's a real possibility and I couldn't dare  
3 speak for NHLBI. We believe that it will  
4 continue. But even if it doesn't, we have a  
5 committee in place to work on a business plan  
6 and to do everything it can to extend this.

7 We feel sure it will not go away,  
8 since CMS is requiring this. We believe we  
9 have the support of NHLBI and FDA and  
10 industry. So we don't expect it to, and we  
11 certainly are committed to keeping it going.

12 CHAIRMAN LASKEY: Thank you. Dr.  
13 Normand.

14 DR. NORMAND: Yes. I had a  
15 question that I had asked, and it was  
16 regarding the completeness of follow-up for  
17 the various quality of life measures and the  
18 six minute walk and the neurological tests,  
19 about missing data and about how many.

20 I wanted to get some -- because  
21 right now, it's impossible to interpret those  
22 data. I think I just heard that there were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 41, the slice of 37. Maybe you're talking  
2 about the September follow-up.

3 But at most with the complete data,  
4 which I'm not arguing you should use, there's  
5 only 41 people. It's really difficult to  
6 interpret the information from those  
7 particular instruments, without knowing how  
8 many died, how many couldn't respond and how  
9 many just refused.

10 Perhaps you just didn't have time  
11 to pull that together, which would be  
12 imperative to have in order to interpret the  
13 data.

14 DR. MILLER: It is the truth. You  
15 did mention the competing outcomes, is that  
16 when I looked at six month data, 40 percent  
17 had been transplanted; 20 percent had died.

18 So when we looked at the number who  
19 really could be eligible to have that, it  
20 looked like we had about 75 to 80 percent of  
21 the data collected.

22 As Dr. Petrucci alluded, that some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients just elect to not have that data  
2 completed or take that test again. But it's  
3 for a fairly high percentage of these patients  
4 we have this consistent data.

5 DR. NORMAND: So again, I just --  
6 it's really difficult to interpret the results  
7 as they're presented, because the small number  
8 that died are very informative to your output.  
9 So I don't think you can answer that today,  
10 but thank you for --

11 DR. HEATLEY: I think I can answer  
12 or at least I can try to. Was there any  
13 particular measure you were interested in?

14 DR. NORMAND: I wanted to see the  
15 analysis that uses the longitudinal missing  
16 and random assumptions. But right now you're  
17 not. You're just doing complete case means  
18 and ignoring that. So I don't think you can  
19 pull off analyses right now. Do you have that  
20 analysis?

21 DR. HEATLEY: That depends on how  
22 complete the data was.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: Well, I wanted to  
2 know how many we're missing at random, and how  
3 many died, how many are left in, in terms of  
4 actually --

5 DR. HEATLEY: I'm not prepared to  
6 answer that.

7 DR. NORMAND: Yes, I figured.  
8 Thank you.

9 DR. ZUCKERMAN: Dr. Normand, again  
10 I think your comments were just very helpful.  
11 Number one, there were no prospective  
12 hypotheses for these QOL end points to, as you  
13 point out, there are multiple problems with  
14 missing data.

15 So at the end of the day, while  
16 this is somewhat explanatory and hypothesis-  
17 generating data, etcetera, the question the  
18 FDA would really want to know is whether any  
19 of these data are of sufficient quality to be  
20 put in the device label, and maybe we can  
21 attack that when we get to the labeling.

22 DR. NORMAND: Just to follow-up,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Zuckerman, the reason why I was asking  
2 that is it would be terrible -- well,  
3 sometimes data can be presented in a way that  
4 are more harmful than helpful, by presenting  
5 simple summaries.

6 So I'm just a little concerned  
7 about having data, where I really don't know.

8 It may look good, but I have no idea if it's  
9 the right way. So that's why I was asking  
10 that. That wasn't a primary --

11 DR. ZUCKERMAN: Absolutely. I  
12 would agree completely, and would perhaps  
13 suggest therefore that these data don't belong  
14 in any device label.

15 CHAIRMAN LASKEY: And I think we're  
16 helped along by the fact that they weren't  
17 pre-specified, and so we needn't spend a lot  
18 of time on this. Yes sir.

19 MR. MIDDLEBROOK: Okay. There was  
20 one final question that was posed before  
21 lunch. I'd like to bring up Dr. Stuart  
22 Russell to answer that question, and it has to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do with the change in renal and hepatic  
2 function from baseline.

3 DR. REICHENBACH: This is a  
4 question that actually went to the FDA, when  
5 you were asking about pulsatility and the  
6 effects of continuous flow.

7 We have presented data in abstract  
8 form at both the ISHLT and the HFSA on first  
9 the original patient cohort, and then  
10 additionally with CAP cohorts, looking at  
11 baseline data for creatinine, BUN, T. bili and  
12 transaminases.

13 You know, the baseline creatinine  
14 was 1.4. It went down to 1.1. We also split  
15 the group into half, based on above or below  
16 that baseline, and in the high group, it was  
17 1.7. They also came down to 1.1. With  
18 creatinine, we saw similar changes, with the  
19 BUNs going from about 60 down to about 30 at  
20 six months.

21 T. bili started at 1.3. It was  
22 actually a slight uptick to about 1.7 at 30

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 days. It came down to .9 at month six and for  
2 the transaminases, it was about 70, came down  
3 to about 30 by six months. So both liver and  
4 renal appeared to improve with this  
5 essentially continuous flow.

6 MR. MIDDLEBROOK: Dr. Laskey,  
7 excuse me. There was one more question we did  
8 not get a chance to answer before the break,  
9 and that has to do with there were ten  
10 patients that were not listed 1A or 1B at 180  
11 days, and we talked about the outcome of six  
12 of those patients.

13 There was a question raised  
14 regarding the four remaining patients that are  
15 ongoing, and I'd like to bring Laura Damme up  
16 to answer that question.

17 MS. DAMME: Okay. So the four  
18 patients, one of them was a 63 year-old female  
19 that was implanted, and she decided it was her  
20 preference not to be listed. She actually  
21 lived a little bit further from the center,  
22 did not want to relocate closer for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transplant.

2 She continued to be ongoing very  
3 well, very active and had a total duration of  
4 796 days. She did end up expiring. She  
5 actually ended up expiring with a pump pocket  
6 infection that she did not want anything done  
7 with.

8 Another one of these patients that  
9 was a patient preference was a 63 year-old  
10 female. She was implanted and had decided not  
11 to be listed. She was very active in camping,  
12 fishing, boating. She ended up getting  
13 transplanted, with a duration of 635 days.

14 The two last patients are actually  
15 not listed due to compliance reasons. There  
16 was a 34 year-old that was entered into the  
17 study. He had a history of non-ischemic  
18 alcoholic cardiomyopathy.

19 He was implanted and then did  
20 unfortunately go back to alcohol abuse. He  
21 went through detoxification, etcetera, did get  
22 delisted, and continued to kind of go through

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 therapy for that.

2 He continued to be non-compliant,  
3 and he did actually on Day 347, he actually  
4 had an incidence of hemolysis, for which they  
5 gave him some TPA, and he did subsequently  
6 have a hemorrhagic CVA.

7 But it was moderate. He did  
8 recover from that, and they actually fairly  
9 recently explanted him for recovery, at Day  
10 558.

11 The final patient is a 42 year-old  
12 male, again a non-compliant patient,  
13 unfortunately, due to drug abuse. He is not  
14 currently listed. They keep rescreening him.

15 He's doing well, very active, but he has not  
16 passed his drug screen yet, and they keep  
17 trying to get him to pass, and hopefully then  
18 he will get listed and get transplanted.

19 His duration -- I thought I had his  
20 recent duration -- the duration actually as of  
21 July 13<sup>th</sup>, when we put this together, was 416  
22 days. So add another six months to that. Any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 questions?

2 CHAIRMAN LASKEY: No. Just a vote  
3 of appreciation for that very thorough follow-  
4 up. We can only hope that such completeness  
5 is available for the registry, because this is  
6 very, very helpful.

7 We have a competing risk problem up  
8 here. It's not late but it is getting in the  
9 hour, and there are people that need to make  
10 arrangements for transportation and so forth.

11  
12 I would suggest we take a ten  
13 minute break now, and then I'd like to  
14 reconvene for the panel questions and move on  
15 to the vote. I think on that schedule, we can  
16 get everybody where they need to get by 4:30.

17 So we'll see you in ten minutes.  
18 Thank you.

19 (Whereupon, a short recess was  
20 taken.)

21 CHAIRMAN LASKEY: Thank you very  
22 much for honoring the spirit of this process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 As we round third and head to home, we can  
2 focus our discussion on the FDA questions, and  
3 Eric, if you can tick them off for us, we'll  
4 try and summarize the sentiment up here.

5 MR. CHEN: Okay. So the first  
6 question involves the evaluation of safety and  
7 effectiveness is please provide your clinical  
8 and/or statistical interpretation of the  
9 results from the Heartmate II study, and  
10 whether the results demonstrate a reasonable  
11 assurance of effectiveness, even though the  
12 data did not meet the performance goal.

13 CHAIRMAN LASKEY: So I think that  
14 you've heard over and over again that we're  
15 all disappointed with the nature of the  
16 construct of the study.

17 However, there was a pre-specified  
18 hypothesis. There was a study design. The  
19 study failed, quote-unquote, to meet the set  
20 criteria of a lower bound for a confidence  
21 interval.

22 But I think that moving past the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 failure of the study to meet its -- the  
2 pivotal population to meet the primary end  
3 point, we have discussed the importance and  
4 the relevance, and the implications of looking  
5 at the ensemble of the data that's been  
6 presented to us here.

7 That is not only the pivotal group  
8 but the continued access protocol and the  
9 small size protocol.

10 I think that looking at the  
11 composite of that population, I think the  
12 sentiment here is that we have met reasonable  
13 assurance of effectiveness, despite the fact  
14 that the data did not meet the pre-specified  
15 performance goal. Do we have agreement on  
16 that, in terms of an answer for the agency?

17 DR. MASSIE: I would just -- I  
18 circled in this, because it's not a simple  
19 question as it evolves, the "reasonable" in  
20 the question, and seizing upon the reasonable,  
21 I would concur with what you just said.

22 CHAIRMAN LASKEY: Underlining the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 "reasonable." Yes, good. Thank you, Barrie.

2 DR. ZUCKERMAN: Okay. That's what  
3 the law says, reasonable, and that's why it's  
4 there. Can I just ask for a little bit  
5 further clarification, Dr. Laskey.

6 You stated the composite of the  
7 three clinical trials, and it's important for  
8 the agency to understand what that composite  
9 means.

10 As the prelude to Question 1, we  
11 would consider indicating by itself Trial No.  
12 1 or the so-called pivotal trial, and then  
13 have concurrently results summarized for those  
14 other two cohorts that you stated.

15 As opposed to the way that the  
16 sponsor this morning showed effectiveness,  
17 where they already in a post hoc fashion have  
18 just added up 194 patients.

19 DR. MASSIE: I'd be happy to  
20 comment on that. I think that it needs to be  
21 that way, as he talked about it. It is a  
22 pivotal trial. I think part of the reason I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 say "reasonable" drives my boat is that we do  
2 see some confirmatory data elsewhere.

3 But I think what we're really  
4 talking about is the 64 percent in the pivotal  
5 trial, as opposed to the 65 percent. I think  
6 the other data help us, help me at least, to  
7 decide that there's reasonable evidence with  
8 64 percent and other findings.

9 CHAIRMAN LASKEY: I think the  
10 terminology here, you're quite right Bram. We  
11 have to be extremely careful about our  
12 nomenclature, that the clinician view of a  
13 composite is not a statistician's or a  
14 methodologist's.

15 We're not ignoring the data. We're  
16 dealing with the data on a supratentorial  
17 level, and working with that composite, that  
18 that is not a statistical pooling and it is  
19 not any sort of amalgamation of the  
20 populations.

21 The pivotal trial must stand on its  
22 own, as we are recommending. But all of us

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have grappled with the implications of the  
2 other two populations, and feel that it does  
3 provide a signal of consistency.

4 DR. EDMUNDS: Well, should we  
5 delete and/or statistical from the statement,  
6 just leave it as clinical?

7 CHAIRMAN LASKEY: I'm not sure I  
8 have the answer to that, Hank.

9 DR. ZUCKERMAN: That's okay, Dr.  
10 Edmunds. The question asked reasonable  
11 assurance of effectiveness. We don't have a  
12 requirement in our law that the P value be  
13 less than .07, .05, what have you. What we  
14 needed to hear is the very good discussion  
15 that we've just had.

16 DR. SOMBERG: I would just hope the  
17 FDA would consider some of the provisos that  
18 were put into this study, maybe be considered  
19 for future studies and for future reanalysis  
20 of this work, because things like whether  
21 you're a transplant candidate or not.

22 There were certain issues that I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think a priori sounded very useful, but maybe  
2 inhibit things, especially in light of the  
3 fact that I don't see this as purely a bridge  
4 to transplant. This is sort of like grasping  
5 for a straw or a rope when you're falling.

6 Then once you're somewhat out of  
7 the urgent emergent situation, then you try to  
8 make decisions. I see people making very  
9 major life decisions, whether they want to go  
10 to transplant, whether they don't, whether  
11 they want to change their lives, move next to  
12 a center, etcetera.

13 These are tremendous problems. So  
14 I think having some sort of concept that you  
15 had to be ready for transplant to be  
16 considered that this bridge works is not  
17 correct. I think that is some of the problem  
18 here.

19 I don't want to get into whether  
20 you should evaluate those patients one way or  
21 another, but that's an important consideration  
22 in the future.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN LASKEY:       Next, Eric.  
2                   Question 2.

3                   MR. CHEN:       Please provide your  
4                   clinical and/or statistical interpretation of  
5                   the results, as to whether any class of  
6                   serious adverse events, that is to say  
7                   infection, bleeding or neurological event,  
8                   raises clinical concerns for a left ventricle  
9                   assist device in bridge transplant patients.

10                  CHAIRMAN LASKEY:    So I think what  
11                  we've heard today is that (a), in the absence  
12                  of a comparator population that's  
13                  contemporaneous, it's difficult to make such  
14                  statements about statistical similarity.

15                  But what we heard from the FDA's  
16                  review of the literature and what is presented  
17                  in our panel pack would lead me and I think  
18                  others to think that these rates are not much  
19                  different to a clinician's way of thinking,  
20                  from the bridge to transplant population. Is  
21                  that a fair statement? This is what people  
22                  are seeing around the country in patients like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this?

2 DR. LINDENFELD: No, I think that's  
3 a fair statement. The bleeding may be -- I  
4 think the bleeding's comparable too, although  
5 particularly we'll talk about it later in  
6 women. I think we have to be concerned it  
7 might be higher.

8 DR. EDMUNDS: Well, I'd like to  
9 make a comment. You don't make progress  
10 standing still, and I think it's time to move  
11 on in the bleeding and thrombosis area.

12 I think that I can't agree to that  
13 at all, and I will vote against it, unless  
14 there are better protocols developed for  
15 managing both bleeding and thrombosis.

16 CHAIRMAN LASKEY: And Hank, you'll  
17 have your opportunity to add those suggestions  
18 to the post-approval study, which we're  
19 clearly moving towards.

20 I think the sepsis issue is of  
21 concern, but it has been of concern since Day  
22 1. I don't think it's any different here, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 whether that raises issues of immuno-  
2 suppression in these people, I guess, is for  
3 others to decide.

4 But it needs to be grappled with as  
5 well, and how clever people are with the  
6 registry design remains to be seen. But I  
7 think we can answer you Bram here in saying  
8 that -- I'm sorry.

9 DR. NORMAND: I guess I could have  
10 just e-mailed in my response, but I do have  
11 some comments regarding some of what I heard  
12 the FDA did say, and I may be mistaken by  
13 this.

14 But it was my understanding when  
15 the FDA did look at the literature, it was  
16 very difficult for them to define serious  
17 adverse events from their literature review.  
18 If that was the case, I just want to make it  
19 clear, at least in my mind, I can say  
20 something clinically.

21 I can't understand some of the  
22 decisions made around the table, but it's my

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understanding that there isn't any historical  
2 evidence about serious adverse events that are  
3 comparable.

4 So I just want to make it clear  
5 that right now, it's basically we don't have  
6 the historical data to compare the adverse  
7 events.

8 I mean I obviously trust the  
9 judgment around the table, but I just I think  
10 Dr. Laskey, you might have said something  
11 that the FDA -- my understanding is the FDA  
12 said they could not verify that, because the  
13 definitions weren't comparable and defined.

14 DR. VASSILIADES: Excuse me. I  
15 want to clarify that statement that's up  
16 there, to make sure that I understand. We're  
17 not talking about the Heartmate II in this  
18 question.

19 We're talking about a left  
20 ventricular assist device in the generic sense  
21 for bridge to transplant, compared to what? I  
22 mean I would have concerns about all those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events. But I mean --

2 DR. ZUCKERMAN: I'm sorry. We're  
3 talking about the specific device that's being  
4 evaluated today.

5 DR. VASSILIADES: Okay, all right.  
6 Well, it's not worded that way, okay. So I  
7 just want to be sure that we're talking about,  
8 because it's worded in a generic sense for a  
9 device being used as a bridge to transplant.

10 CHAIRMAN LASKEY: That's true, Tom.  
11 I think, as you can see from the title of the  
12 series of questions, that we're going to  
13 confine the discussion today to Heartmate II.  
14 Sharon, of course what you say is absolutely  
15 correct, that it's hard, when definitions vary  
16 all over the place.

17 But again, the clinicians feel that  
18 this is pretty well what they're seeing in  
19 their line of work. It may not be published  
20 at the moment, but I think we need to rest  
21 assured with that level of input.

22 DR. MASSIE: Did we not have some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comparative data, at least with the XVE? No?

2 CHAIRMAN LASKEY: I'm sorry. Can  
3 you come forward?

4 DR. PETRUCCI: There was five  
5 serious adverse events between the Heartmate  
6 VE data presented and the Heartmate II study.  
7 Those five serious adverse events had common  
8 definitions.

9 They were right heart failure  
10 requiring RVAD reoperation for bleeding;  
11 percutaneous lead infection; other  
12 neurological event; and stroke. They were  
13 common. What slide number?

14 MS. DAMME: Slide No. 73.

15 DR. PETRUCCI: Slide No. 73. So  
16 those serious adverse events had common  
17 definitions between the two data cohorts. So  
18 we prepared an analysis of those, a comparison  
19 of those events.

20 CHAIRMAN LASKEY: But admittedly,  
21 this is a tough one with so few N to compare.

22 DR. PETRUCCI: Correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN LASKEY:       I think the  
2 implications of the question are larger. But  
3 you're quite right. Thank you. Bram, are you  
4 satisfied with that?

5                   DR. ZUCKERMAN: Yes.

6                   MR. CHEN:       Please provide your  
7 clinical and/or statistical interpretation of  
8 the results for the small patient cohort, but  
9 a body surface area BSA less than 1.5 meters  
10 squared, and greater than or equal to 1.2  
11 meters squared, and discuss whether results  
12 from the primary study cohort can be  
13 extrapolated to the small BSA patients. If  
14 not, please discuss what concerns you may  
15 have.

16                   CHAIRMAN LASKEY: So whether Sharon  
17 is in the room or not, we would all agree that  
18 there's an inadequate sample size here to make  
19 any statements of number one. I think that  
20 was recognized.

21                   Certainly, treating it as its own  
22 subgroup was one of, I guess, the reasons

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 behind the FDA requiring you to split this out  
2 from the whole, from the other 126 patients.

3           So can we make statistical  
4 interpretation of the results? Of course not.

5 Can we make clinical interpretations, how  
6 clinically relevant is this?

7           Given the interaction of size and  
8 gender, it's terribly important, terribly  
9 important. I would guess that that will be a  
10 key part of the construction of a series of  
11 questions for the post-approval registry.

12           Beyond that, I'm not sure that we  
13 can say with any certainty whether rates of  
14 bleeding are higher or lower, or rates of  
15 stroke are higher or lower in such a small  
16 sample.

17           But it's a group that needs intense  
18 scrutiny, and I think we would congratulate  
19 Thoratec for taking this on. This is a group  
20 that can't be ignored. We just don't have  
21 seven to ten patients total. There's no way  
22 that we can make reliable statements.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. ZUCKERMAN: Okay. Maybe we  
2 could get some more input from the  
3 cardiothoracic surgeons on the panel, because  
4 there's a broader issue here. If you go to  
5 the current labeling for the new Thoratec  
6 device, the Heartmate II LVAS is  
7 contraindicated in patients whose body surface  
8 area is less than 1.3.

9 So on the one hand, we have a label  
10 that says part of this population could be  
11 theoretically contraindicated.

12 On the other hand, the panel has  
13 indicated that BSA per se, as opposed to  
14 looking at the patient and seeing whether the  
15 device can fit, or in a surgical sense would  
16 be a better way.

17 So can the surgeons here help us as  
18 to how this device should be sized for a  
19 patient? Is the BSA criteria the appropriate  
20 way?

21 DR. EDMUNDS: I have to defer to  
22 Tom. He's actually doing it and I'm not.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. VASSILIADES: I think it's a  
2 reasonable start. I have no experience  
3 obviously with this particular device. I  
4 don't know what other additional factors there  
5 may be.

6 But I think it's certainly  
7 reasonable, and this is a patient  
8 subpopulation that you would want to see it  
9 used in.

10 DR. ZUCKERMAN: Okay. So how would  
11 you consider the following patient, who has a  
12 BSA of 1.25, say as a hypothetical. It's  
13 contraindicated in their labeling, but yet we  
14 have these data. So what would make you go  
15 one way or the other, in terms of deciding  
16 upon potential device placement?

17 DR. VASSILIADES: I think if it's  
18 technically feasible to implant the device,  
19 based on whatever size measurements you use  
20 initially for BSA and then other  
21 interoperative factors, if those are  
22 favorable, then it doesn't appear to have --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there doesn't appear to be any  
2 contraindications in terms of what the  
3 clinical data show in the experience.

4 So you know, I don't see any red  
5 flags, to be honest with you.

6 DR. MASSIE: Wouldn't it be  
7 possible to say that in the label, there is no  
8 experience --

9 DR. LINDENFELD: Yes. That's what  
10 I think it should say instead of  
11 contraindicated.

12 DR. MASSIE: -- with patients less  
13 than that, but I don't think you want to say  
14 it's contraindicated.

15 DR. LINDENFELD: You don't have any  
16 data that it's contraindicated. You just  
17 don't have any experience.

18 DR. VASSILIADES: Right, right.  
19 That's right. So on the one hand you don't  
20 want to say that it clearly can be used, but  
21 on the other hand you don't want to say that  
22 it shouldn't be.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I think that in one of these  
2 instances, I mean I think you should leave the  
3 door open, to allow the clinician to make the  
4 decision, that we don't have data to suggest  
5 that this device is going to be formed  
6 differently, independent of the size issues.

7           DR. ZUCKERMAN:       Yes.       From a  
8 regulatory viewpoint that would be the way, to  
9 use the correct phraseology of the clinician  
10 experts think, that that is the actual case  
11 with respect to the data, that we have no data  
12 that says right off the bat you shouldn't do  
13 it.

14           CHAIRMAN LASKEY:   And did I hear  
15 earlier,       probably       during       the       FDA's  
16 presentation,   was there some suggestion  
17 perhaps obliquely about using BMI, or is BSA  
18 the standard in the industry here? Can there  
19 be some wiggle room with BMI, or doesn't it  
20 help? No? Okay. I saw that data.

21           DR. MASSIE:       I would say speaking  
22 of contraindications, the one I did hear is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that it's contraindicated in a patient who's  
2 not eligible or appropriate for  
3 anticoagulation.

4 CHAIRMAN LASKEY: Right. That's  
5 another story. We were confining ourselves to  
6 the small surface, yes. Eric, number four.

7 MR. CHEN: This is the first  
8 labeling question. With regard to the  
9 indications for use labeling and clinical  
10 data, please comment on the following.

11 Question A. Please comment as to  
12 whether the indications for use adequately  
13 reflect the Heartmate II study patient  
14 population, and for which the device may be  
15 marketed.

16 Question B. Please discuss whether  
17 the device should be contraindicated for  
18 patients with less than BSA of 1.3 meters  
19 squared, or if the decision to implant the  
20 device should rather be based on an  
21 individualized assessment of body habitus and  
22 device fit.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN LASKEY: Well, I think we  
2 just tackled B. Not that it should be  
3 contraindicated, but there should be language  
4 to the effect that there's no information on  
5 that group.

6                   With respect to A, indications for  
7 use adequately reflect the study's patient  
8 population. This in some ways blends with the  
9 construct of the post-approval registry study  
10 that we would suggest.

11                   But can I have some discussion on  
12 the specific answer to 4A?

13                   DR. EDMUNDS: Well, why did you  
14 change -- excuse me. Why did you change it  
15 from 1.2 to 1.3?

16                   DR. ZUCKERMAN: That's the  
17 manufacturer's initial proposed labeling or  
18 IFU. The agency didn't think that it  
19 necessarily made any sense. That's why we  
20 would like it discussed.

21                   DR. LINDENFELD: There weren't any  
22 patients under 1.3, I think, were there? I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't think there were any under 1.3.

2 DR. EDMUNDS: It's not something to  
3 send a surgeon to jail for.

4 DR. ZUCKERMAN: Absolutely.

5 DR. KATO: Well, I don't think, you  
6 know. If the surgeon does it below, with a  
7 BSA of less than 1.3, it's just off label. I  
8 don't think that's going to be a reason not to  
9 do it.

10 On the other hand, I think that the  
11 language by Dr. Lindenfeld is more than  
12 adequate to cover that situation.

13 DR. SOMBERG: May I ask a question?

14 CHAIRMAN LASKEY: Yes.

15 DR. SOMBERG: There's a day and a  
16 half training program. Clearly, this is a  
17 complex issue. Your body surface area doesn't  
18 necessarily mention, I mean measure this  
19 cavity where this type of device, that has a  
20 peculiar configuration, is going to be placed.

21 I would like toknow what they teach  
22 in that one and a half day. Or actually I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 don't want to know, but it needs to be -- that  
2 has to be -- that instruction, I think, should  
3 be in the IFU, so it's clearly stated what are  
4 the recommendations. How does one size it up?

5 You know, I mean it's like  
6 palpating the abdomen. You feel a mass, you  
7 don't feel a mass, you know this is the right  
8 place, this is the wrong place. I think those  
9 are important considerations.

10 DR. KATO: Yes, and just to tag  
11 along on that, I mean body surface area is a  
12 function of height and weight, and I assume  
13 that some of these people are going to be  
14 fluid overloaded for whatever reason. So what  
15 is their true BSA supposed to be?

16 So I think that's where you're  
17 going to have to allow a lot of flexibility on  
18 that. But what John says I think is correct.

19 CHAIRMAN LASKEY: Yes, Cindy.

20 DR. TRACY: Just looking at the  
21 proposed labeling, it states in here the  
22 Heartmate II blah blah blah is intended for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use as a bridge to transplant in cardiac  
2 transplant candidates at risk of imminent  
3 death from non-reversible left ventricular  
4 failure.

5 It's probably not that critical to  
6 make a big distinction here, but some of these  
7 patients, I forget exactly how many, did have  
8 improvement in ventricular function, have the  
9 device removed.

10 So that maybe should state presumed  
11 non-reversible left ventricular failure,  
12 rather than just stating non-reversible  
13 failure?

14 DR. ZUCKERMAN: Okay.

15 CHAIRMAN LASKEY: I'd like to get  
16 us back to A, and specifically Bram, I guess  
17 you're trying to get us to deal with the  
18 Thoratec proposed label, and to modify that  
19 accordingly?

20 DR. ZUCKERMAN: Right, and Dr.  
21 Tracy just read the indications for use. It's  
22 in your second notebook, page ten, Section

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 9.1.

2 (Pause.)

3 CHAIRMAN LASKEY: What page are you  
4 on Bram?

5 DR. ZUCKERMAN: Page ten, Section  
6 9.1.

7 DR. PAGE: In our booklet is it 2.0  
8 indications per use?

9 DR. ZUCKERMAN: Yes.

10 DR. PAGE: It's 2.0 in our booklet  
11 on page ten.

12 CHAIRMAN LASKEY: And would we  
13 agree that the study's patient population, at  
14 the least the pivotal trial, probably the  
15 continuing access trial, that patient  
16 population matches what the language is,  
17 appears to be?

18 DR. NORMAND: Can I just ask about  
19 the age? Are there any age restrictions that  
20 we need to, or is that just not an issue?  
21 It's not an issue.

22 DR. ZUCKERMAN: I don't think so.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. NORMAND: Do we have experience  
2 with a certain age group?

3 CHAIRMAN LASKEY: That's a good  
4 point, Sharon. There's nothing in there that  
5 speaks of contraindications. But I think we  
6 would need to go back to the company and see  
7 whether the company wants to have that  
8 information in their label.

9 DR. SOMBERG: But it's a function  
10 of size. You know, if someone could handle  
11 this device and have those sort of conditions,  
12 why would one want to put in additional  
13 limitations when we don't know, and this is a  
14 dire situation?

15 CHAIRMAN LASKEY: I guess the  
16 practical side of that Sharon is that above a  
17 certain point, people are not considered  
18 transplant candidates. So by definition,  
19 bridge to transplant doesn't apply to the  
20 elderly.

21 DR. NORMAND: I'm just saying  
22 typically you describe the patient population.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I think you're telling me, using that  
2 descriptor, it's fine. You don't care about  
3 age; you only care about the body surface area  
4 and whether or not it's going to fit?

5 DR. KATO: Well, I think you also  
6 care about -- I think the other key word is  
7 bridge to transplant in a clinical transplant  
8 candidate, only because most transplant  
9 programs do have an age cutoff.

10 Whether you want to make it 60, 65,  
11 but it's center-specific. So I think as long  
12 as that, this is -- it's understood that the  
13 intent is a temporary device leading to  
14 transplant.

15 Now granted, there are some people  
16 who want to go to destiny with this, and  
17 that's their right to do or they are going to  
18 be prolonged waiting times. But at least the  
19 intent going in has to be that this is a  
20 temporary device leading to cardiac  
21 transplant.

22 DR. MASSIE: I think that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 critical, because if you look at all the  
2 exclusions from the trial, they're largely  
3 designed to define that in some -- it's always  
4 a relative decision, but you know, people who  
5 have cancer, who are imminent, you know.  
6 There are things.

7           So I think you have to say in  
8 somebody who is a potential candidate for  
9 transplant, so you don't get somebody who's  
10 got -- well, if they had one adenocarcinoma,  
11 didn't they, in this one, you know, that's  
12 advanced and they died of that.

13           So I think it would be crazy to put  
14 it in somebody, although we just did in our  
15 journal have three case reports of people with  
16 cancer, who had LVADS and did well for three  
17 months.

18           DR. LINDENFELD: I don't think we  
19 can specify age and I'll have to find it. But  
20 somewhere in here there was a differential  
21 adverse effect that was substantially higher  
22 than those greater than 55. So when we talk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about post-marketing, we need to come back to  
2 that.

3 CHAIRMAN LASKEY: Yes. So age is  
4 probably an implicit cutoff, rather than  
5 anything else. Norm?

6 DR. KATO: I'm still concerned  
7 about the scattergram of percent success with,  
8 you know, with volume. I don't know whether  
9 it's a patient selection issue, a center  
10 effect or a specific surgeon effect.

11 I guess personally I would like to  
12 see a tighter definition, I mean if there  
13 could be one, only because -- just to try to  
14 narrow down the variability and success rates,  
15 if that's even possible.

16 CHAIRMAN LASKEY: You should have  
17 brought that up on Question No. 1, but it  
18 raises a very critically important issue, but  
19 do we have enough sample here to look at a  
20 volume outcome relationship? I'm not sure we  
21 do. I mean it is all over the place, but I'm  
22 not sure we can construct what we'd like to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see, which is an inverse relationship between,  
2 you know, outcomes and volume.

3 But hopefully, that will come out  
4 of a registry with more sample. At the  
5 moment, it is all over the place. It's hard  
6 to make sense of. But it's a small number for  
7 each site. Tom?

8 DR. VASSILIADES: No, I think  
9 you're dead-on with that. I think you're  
10 absolutely right. There's really not much  
11 more we can say about it, I think.

12 CHAIRMAN LASKEY: Okay with four?

13 DR. ZUCKERMAN: Yes.

14 MR. CHEN: Please discuss whether  
15 you think that additional warnings,  
16 precautions or contraindications should be  
17 included in the labeling to assist  
18 practitioners in using the Heartmate II.

19 For example, please comment on the  
20 use of anticoagulation, given that the device  
21 is axial flow pump.

22 DR. PAGE: Before we address the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 anticoagulation, there are a couple of issues  
2 I'd just like to ask or raise, issues  
3 regarding the labeling. Page seven, third  
4 bullet down, it says to the operator "Do not  
5 overtighten thread protectors."

6 You never want to overtighten  
7 anything. But if that can have an important  
8 impact on the success of the implant, might  
9 you consider a torque wrench or something?

10 I guess my question is, is that a  
11 critically important part of the surgery, and  
12 if so, are there ways to work around that?  
13 Because now you're going to be opening this up  
14 to other surgeons, who will not have your  
15 level of expertise perhaps.

16 For example, in pacemakers, there  
17 is a torque wrench that keeps the operator  
18 from overtightening the set screw.

19 DR. PAGANI: The proper  
20 construction and preparation of the pump is  
21 what is taught as part of the training course.

22 So that's a key element of the training

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 course, and a lot of time is spent on pump  
2 preparation and how to implant it. Those  
3 elements are discussed in the training.

4 DR. PAGE: So you're satisfied with  
5 that alone?

6 DR. PAGANI: Yes.

7 DR. PAGE: Okay. Next, on page  
8 eight, fourth bullet down, if there's an ICD  
9 or a pacemaker, it should make sure that  
10 there's not interference, if there is  
11 interference, it says that you should replace  
12 the ICD with one that is not prone to  
13 programming interference.

14 Do you have any data on which  
15 devices are prone to programming interference?

16 That might be something that could also be  
17 looked at in post-marketing.

18 Finally, on page 58, the issue of  
19 the pledged mattress, the issue, there are  
20 specifics about the technical procedure on  
21 putting this in. There were two malfunctions  
22 related to pledged.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Do you feel -- four, I'm told. Do  
2 you feel like the training program and  
3 experience now is such that you can teach  
4 adequately new operators this procedure?

5                   DR. PAGANI: Well, I think it has  
6 nothing to do with the -- I mean I can speak  
7 to one of those episodes, because it occurred  
8 at our institution.

9                   A small piece of pledget fell into  
10 the operative field and was sucked into -- it  
11 moved into the left ventricle and was sucked  
12 into the pump.

13                   So it had nothing to do with the  
14 preparation or training of the pump. So I  
15 think it was an aberrant event, and not  
16 related to the pump implantation. It could  
17 happen in any particular operation.

18                   DR. PAGE: But with four in a  
19 relatively small series, it seems to me there  
20 needs to be some sort of vigilance to keep  
21 that from happening the next time.

22                   DR. PAGANI: There were just two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 events listed. Just two events.

2 DR. PAGE: One of your colleagues  
3 was holding up four to me.

4 DR. PAGANI: No, no, no. Just two  
5 events.

6 DR. PAGE: Okay.

7 DR. DOMANSKI: Maybe I could -- I'm  
8 just kind of curious about the business of the  
9 programming interference, and I'm bothered by  
10 that now. I don't put in ICDs, but I'd like  
11 to know what guidance there is about that.

12 I mean which ones do interfere?  
13 Have you seen that? What did you do about it,  
14 and what guidance do you offer in your course  
15 to deal with it?

16 DR. REICHENBACH: We have observed  
17 that in one case, and thus far it's been  
18 interference with one manufacturer. It's  
19 interference during programming.

20 Some centers have been able to work  
21 around it, but we want people to know that up  
22 front, so they make sure they can do that, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 make sure they can program beforehand.

2 CHAIRMAN LASKEY: But just in case  
3 it might happen again, should that not be a  
4 precaution rather than buried in the IFU?

5 DR. REICHENBACH: I think it's  
6 something that should be --

7 DR. ZUCKERMAN: The answer is yes.

8 DR. REICHENBACH: Yes.

9 DR. ZUCKERMAN: It is a precaution  
10 in the IFU.

11 DR. EDMUNDS: In your training, I  
12 think it's very important to emphasize that  
13 the INR need to be between two and three and  
14 very carefully monitored, much moreso than  
15 with a prosthetic and mechanical heart valve,  
16 because your bearings need the lubrication,  
17 and the patient doesn't need a hemorrhagic  
18 CVA.

19 CHAIRMAN LASKEY: I think that is  
20 the guideline for the measure of INR, though.  
21 There's a protocol.

22 DR. SOMBERG: Well you know

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 unfortunately, it's really not known, because  
2 there's not a study done. I mean the numbers  
3 are too small. There's no variable. I don't  
4 know. Maybe it should be 2.5. Maybe it  
5 should be 3.0 or slightly higher.

6 So that I mean we don't know that,  
7 and what about low molecular weight heparins,  
8 where you may not need to measure the INR  
9 here.

10 So I mean I don't think these  
11 things should be written in. I think we have  
12 a very formative device that seems to have  
13 some clinical benefit, and that we're getting  
14 very picky on.

15 I think a lot more data is needed  
16 in almost all these areas before we make a  
17 recommendation, which people are going to  
18 read. They'll say okay, that's settled. We  
19 don't have to go on.

20 CHAIRMAN LASKEY: No. I think that  
21 we need to be somewhat more helpful if not  
22 specific with guidelines for anticoagulation

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in the label. The general default here is use  
2 it as it was used in the trial.

3 Now you can get additional  
4 information, and by the way, I don't know  
5 anybody that uses low molecular weight  
6 dextran, even heparin.

7 So I think we have to go with what  
8 was in the trial, which was between two and  
9 three. We can look at it.

10 DR. SOMBERG: Do you know how many  
11 patients were at that range above it or below  
12 it, what the complications for bleeding? Were  
13 they high, were they low, how often was it  
14 measured?

15 I mean there's so many loose ends  
16 here, to put these things. You can write down  
17 yes, you know, obviously in teaching the  
18 course. This is the goal we kept people on.  
19 But why they did that, I don't know. You  
20 know, everything's picked sort of a *priori* and  
21 are not really followed up on.

22 CHAIRMAN LASKEY: Well, we need a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommendation in the label for the use of  
2 warfarin here John, so you're going to have to  
3 come up with something better than what was in  
4 the trial. But these patients need to be  
5 anticoagulated.

6 DR. SOMBERG: Well, I think you say  
7 this is what was -- this was the goal in the  
8 trial, and this is the goal that we're  
9 teaching people to do. But we don't have  
10 adequate data to support that.

11 I mean a lot of people have said,  
12 you know, how long we give clopidogrel in a  
13 certain instance and in another device. It's  
14 the same thing here. We just pick something  
15 and we don't optimize it.

16 I would like to highlight. By the  
17 way, I would highlight that there are problems  
18 that should be highlighted. One is infection  
19 and the other one is bleeding, and that more  
20 care has to be given to each area.

21 I think it's to each center to try  
22 to come up with protocols to try to optimize

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 situations, and only time will tell what's the  
2 best one.

3 CHAIRMAN LASKEY: We may be hearing  
4 something about anticoagulation protocols  
5 here. That would be very helpful.

6 MR. MIDDLEBROOK: Dr. Laskey, I  
7 just want to refer the panel to Section 13.3  
8 on page 73, in the second book, the binder.  
9 It's Section 9.1, where we do define the  
10 anticoagulation therapy requirement.

11 Yes. It's Section 13.3 on page 73,  
12 in the second binder, the smaller of the two  
13 binders.

14 DR. SOMBERG: Mine doesn't go to  
15 13.

16 MR. MIDDLEBROOK: It's in Section  
17 9.1. I apologize for that. But it's in the  
18 patient management guide at Section 13.3, page  
19 73.

20 DR. YAROSS: Page 73.

21 (Pause.)

22 DR. SOMBERG: I can't find that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 section at the moment. You're going to have  
2 to -- I'm sorry.

3 DR. YAROSS: It's 9.1.

4 CHAIRMAN LASKEY: Maybe we can  
5 share that with you, John. But getting down  
6 to the --

7 DR. YAROSS: Tab 9.1, which is the  
8 second tab of the smaller volume, page 73 of  
9 that tab.

10 CHAIRMAN LASKEY: It is explicitly  
11 stated there. So there is a protocol. Yes?

12 DR. PAGE: My one question on page  
13 73 is it says "For sustained low pump flow  
14 states, consider increasing anticoagulation to  
15 upper limits of normal."

16 Do you mean upper limits of  
17 therapeutic? I assume that's a low flow  
18 state, so we don't want upper limits of  
19 normal. We want upper limits of the  
20 therapeutic INR, translate to three or above.  
21 That just needs to be changed for the record.

22 DR. EDMUNDS: Well, most of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 weaning protocol, the INR is at the bottom of  
2 that 13.5, that 13.3 section under number  
3 five. 2.0 to 3.0.

4 CHAIRMAN LASKEY: Right, as was  
5 mentioned earlier. But the point about  
6 weaning, when we're getting low flow states,  
7 presumably this is not an optimal situation.  
8 The only one that might qualify for that would  
9 be the weaning.

10 Should we have specific  
11 recommendations for handling the  
12 anticoagulation?

13 DR. EDMUNDS: I think the people in  
14 the OR have got to handle that one, because  
15 it's going to be very individual in what the  
16 coagulation defects that they're dealing with  
17 at the time. The amount of bleeding and  
18 everything else is going to play a factor as  
19 to what they decide to do. But that's a  
20 transitional state.

21 CHAIRMAN LASKEY: John?

22 DR. SOMBERG: Reviewing this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 carefully, I read an agenda here, is you're  
2 using the degree of anticoagulation for a  
3 hemodynamic factor, and you're going to have a  
4 side effect of bleeding.

5 There are other ways to change the  
6 rheology of the blood besides anticoagulation.

7 There's another area that needs to invest in.

8 I must say I read this over, but I didn't  
9 think of that beforehand.

10 But the degree of hydration, other  
11 pharmacologic agents can affect that as well.

12 So you have a competing thing here, because  
13 yes, you're using the blood as a lubricant and  
14 you want to be at a certain lubrication and  
15 it's that.

16 But it's also, by changing that,  
17 you're going to change the rate of hemorrhage.

18 So someone has to think of, and I don't want  
19 to do it standing on my -- sitting down here  
20 at the moment, but someone has to give thought  
21 to how to separate those two factors.

22 CHAIRMAN LASKEY: Yes Gene?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BLACKSTONE: Going back to  
2 Section 4, the label, pages really 11 through  
3 -- yes, 11 through 22, I believe, need to be  
4 modified to reflect the pivotal trial and to  
5 use the language that we have agreed to today,  
6 none of which is contained in this section.

7 CHAIRMAN LASKEY: I think we would  
8 agree with that, pass that on, that it should  
9 reflect the pivotal trial and not the --

10 DR. TRACY: Warren?

11 CHAIRMAN LASKEY: Cindy.

12 DR. TRACY: Sorry, but there's one  
13 -- back to the question on the labeling for  
14 contraindications. There is one  
15 contraindication that we kind of mentioned but  
16 it needs to be stated explicitly. The patient  
17 cannot have this device if they cannot receive  
18 anticoagulation. That needs to be stated as a  
19 contraindication.

20 I think some of the other  
21 absolutely contraindications are implicit in  
22 the initial indication, which states that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient is otherwise a transplant candidate,  
2 which precludes somebody with metastatic  
3 cancer and so on and so forth.

4 But I think in terms of a specific  
5 contraindication, that just needs to be put in  
6 there.

7 DR. LINDENFELD: I'm not sure. I  
8 just was interested to read that pregnancy is  
9 another one, but I don't know how much that  
10 needs to be up front or later on. But there's  
11 a paragraph in there that states that  
12 pregnancy is likely to dislodge the lead, and  
13 I don't know up front that needs to be in the  
14 labeling.

15 But I think that since this is  
16 going to go into young women, somewhere that  
17 needs to be emphasized. It was something that  
18 I had not thought of in the past, although  
19 maybe it's obvious to everyone else.

20 DR. EDMUNDS: I would slightly  
21 disagree with you, because there may be  
22 circumstances where you would have someone who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really couldn't be managed by coumadin.

2           You might try to manage them with a  
3 low molecular weight dextran, I mean heparin,  
4 or some other anticoagulation protocol under a  
5 special circumstance. So I wouldn't tie the  
6 clinician's hands.

7           DR. TRACY: No, I'm not saying  
8 that. I specifically said they're not a  
9 candidate for anticoagulation, not stating not  
10 a candidate for warfarin.

11           CHAIRMAN LASKEY: That sounds  
12 reasonable. JoAnn, get back to your point,  
13 that there should be a contraindication for --

14           DR. LINDENFELD: I'm not sure it  
15 should be a contraindication. I was just  
16 surprised to read through there that this  
17 shouldn't be put in anyone who may be pregnant  
18 or may become pregnant, because it may  
19 dislodge. The growth of the fetus may  
20 dislodge the lead.

21           I couldn't tell what data there was  
22 there, if that was presumed or if it had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 happened. But I just wasn't aware of it, and  
2 I just think it ought to be somewhere, where  
3 people are aware, especially a device that can  
4 go on for some time, that's likely to be put  
5 in young women.

6 We ought to be sure that clinicians  
7 understand that. I don't know what, I don't  
8 know that we even need to go into that, what  
9 data you have for that. But I was just struck  
10 with that.

11 CHAIRMAN LASKEY: Well, nobody in  
12 the trial was pregnant. But I think we're in  
13 no man's land with the effects of non-  
14 pulsatile flow on the fetus.

15 DR. LINDENFELD: But the specific  
16 indication here says that the fetus may  
17 dislodge the pump. I have no idea how  
18 strongly that's felt, but maybe it just ought  
19 to be somewhere where it rises to people's  
20 attention.

21 I don't think it should be a  
22 contraindication, but I was sort of surprised

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to read that, and I consider young women all  
2 the time.

3 CHAIRMAN LASKEY: Okay. I think we  
4 can move on.

5 DR. KATO: Just one more minor  
6 point. It says the Heartmate II is intended  
7 for use both inside and outside the hospital.

8 I don't think anybody would disagree with  
9 that, or for transportation of VAD patients  
10 via ground ambulance, fixed wing aircraft or  
11 helicopter.

12 I'm not sure that that was proved,  
13 or is that a general statement within other  
14 package inserts for other VAD devices, or is  
15 that some specialty type indication that the  
16 sponsor's going for?

17 Because otherwise, since no data  
18 was presented, I would recommend that that  
19 last part be stricken, as far as the  
20 transportation mode.

21 MR. MIDDLEBROOK: This is Don  
22 Middlebrook. We did provide data to support

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or validate the use in air ambulance, and air  
2 transport and in ambulance.

3 We did provide that as part of our  
4 verification, part of the engineering section  
5 data that has been provided to the FDA, and  
6 Eric has indicated earlier that there were no  
7 concerns raised for that information.

8 DR. KATO: But is this a specialty  
9 indication that you're trying to get for  
10 Heartmate II, compared to the --

11 DR. ZUCKERMAN: No. It's a  
12 standard part of the bridge to transplant  
13 label, and as Don pointed out, that can be  
14 qualified through appropriate pre-clinical  
15 engineering testing.

16 CHAIRMAN LASKEY: Okay. Number  
17 five.

18 MR. CHEN: Okay. So we've had a  
19 lot of discussion on the post-market, so let's  
20 go through each bullet. With regard to the  
21 post-approval study, please comment on the  
22 following.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Based on the clinical data provided  
2           in the panel pack, please comment on the  
3           design of the post-approval study proposed by  
4           the sponsor. Is follow-up up to one year  
5           post-transplant with data collection for  
6           adverse events and functional assessments  
7           appropriate?

8           CHAIRMAN LASKEY: I think we have  
9           an all unanimous yes, if not longer. But at a  
10          minimum, yes. Discussion?

11          DR. MASSIE: Well, I think that --  
12          I mean I've heard mixed feelings from the  
13          people on the panel about the INTERMACS versus  
14          others. But I do believe INTERMACS is a good  
15          vehicle, but what I've heard is not a good and  
16          adequate study, in terms of numbers.

17          If in fact what David Naftel said  
18          was true, I think there are all sorts of  
19          things that one could pre-specify such as  
20          comparative analysis with other bridge to  
21          transplant devices.

22          It's post-marketing, but now we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could actually specify we'd like a comparison  
2 with what's been going on during the same  
3 period as this trial with other devices,  
4 because those are in the database. These will  
5 -- the Heartmate II will be added.

6 But then going forward, I think we  
7 also need it. But I don't see, given the  
8 vehicles existing and all these people being  
9 entered registry-wide, that we should be  
10 parsimonious. Why not hundreds?

11 CHAIRMAN LASKEY: Well, I guess  
12 I'll just -- you know, it's important, it's  
13 terribly important that they be consecutive or  
14 nearly consecutive, and I didn't hear that  
15 today. But I think maybe everyone understands  
16 that in the registry. Otherwise, it's not  
17 very good.

18 DR. EDMUNDS: But Warren, I think  
19 our discussions that we've had before about  
20 changing protocols and beefing them up should  
21 be continued in the follow-up period, or at  
22 least evaluated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   CHAIRMAN    LASKEY:           That's a  
2           different issue, but we're asking for  
3           consecutive enrollment or nearly consecutive  
4           enrollment of everybody with a device from  
5           henceforth in the registry.

6                   Now what we do when they get in  
7           there, what study they fall into or how  
8           they're stratified is yet to be determined.  
9           So we can hopefully articulate that today.

10                   DR. PAGE:    Yes. I'd just like to  
11           say to the sponsor, I was really surprised by  
12           the post-market, the post-approval study that  
13           was put forward at 50 patients. That seemed  
14           awfully low, and I would have anticipated a  
15           good faith effort to really look at some of  
16           the questions that you all know are still  
17           outstanding.

18                   For example, if statistically one  
19           in four patients is a woman, and if 50 were  
20           studied, then we'd only have 12 more patients.

21           So I've got to say as I read this, just kind  
22           of give you feedback, it disappointed me that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a greater effort at really addressing -- I  
2 realize that companies always come with a  
3 plan, and the FDA ends up negotiating.

4 But we're all out to get the  
5 information. We're all out to identify safety  
6 in this device. While I think, I compliment  
7 you on putting together a very nice packet for  
8 us, I was troubled by the study as it was put  
9 forward.

10 MR. MIDDLEBROOK: Warren, is it  
11 okay if I make a comment? Yes, I appreciate  
12 your comment, and as I indicated before, we  
13 put it forth as a starting point, because we  
14 didn't know at the time what the issues with  
15 the PMA data would be.

16 So we put forth the protocol. We  
17 did propose the INTERMACS, because I think  
18 it's important to point out that even though  
19 we proposed a relatively small number of  
20 patients, that it's our anticipation that  
21 INTERMACS will continue to serve as a registry  
22 and capture the data on all of these patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 continuing to go forward, from now until  
2 perpetuity, in the unlikely event unless they  
3 somehow run out of funding and nothing else  
4 happens.

5 But I think that is really a remote  
6 possibility that that will happen. So the  
7 idea was to kind of come in with a finite,  
8 relatively small number, and the reason we did  
9 that really was based on the fact that with  
10 133 patients and the additional 280 patients  
11 in the CAP study, that we had such a large  
12 body of valid evidence collected during the  
13 clinical trial, and with the INTERMACS sort of  
14 safety net there, that we wouldn't need a very  
15 large post-market study because the data would  
16 continue to be collected and analyzed going  
17 forward.

18 DR. TRACY: Do the data that you  
19 propose in your post-market surveillance  
20 correlate with the INTERMACS data fields, what  
21 the actual fields are in the INTERMACS  
22 database?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           MR. MIDDLEBROOK: I think that's an  
2 important question, and I want to make sure  
3 the panel understands it too, as you kind of  
4 contemplate the post-market study.

5           As we proposed our post-market  
6 study, we proposed it, that INTERMACS would be  
7 used to collect all of the post-market data.  
8 The reason for that is that the VAD  
9 coordinators are already very well overloaded  
10 with a lot of stuff to try to do.

11           The INTERMACS is already IRB-  
12 approved. There's already an informed  
13 consent. So to facilitate, there's electronic  
14 data entry. There's a lot of things in place  
15 that facilitate the ease of collecting this  
16 data. That's why we wanted to stick with that  
17 in its totality, because adding anything else  
18 onto that would require additional informed  
19 consent, additional IRB approval. It could  
20 depend on what you decide on. It could  
21 involve a core lab and other things that would  
22 really complicate the post-market study.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So we wanted to kind of keep it  
2 within the construct of INTERMACS, and that  
3 was the rationale for what we did.

4           CHAIRMAN LASKEY: Dr. Normand?

5           DR. NORMAND: Thanks. I guess I'm  
6 not so concerned. I guess I don't want to be  
7 fixated on INTERMACS versus not INTERMACS. I  
8 think the idea should be one of what would be  
9 the post-market study?

10           Will you provide, collect elements  
11 that are going to be comparably defined in  
12 your earlier studies? Otherwise, we can't use  
13 the information in the earlier studies.

14           So if INTERMACS serves that  
15 purpose, great. If it doesn't, too bad and  
16 you're going to have to go for it with  
17 something else.

18           So I guess I'm not -- I hate to be  
19 tied to a particular registry, and if you're  
20 stuck with the way they collect the data and  
21 you can't sort of capitalize on the good data  
22 you've collected already.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   But the reason -- I have a couple  
2 of questions and I guess concerns. So the  
3 thing is I too was disappointed by the very,  
4 very small sample size, and again, these  
5 sample sizes are pulled out of the air.

6                   I think what one should do and I'm  
7 sure FDA could work with you on this, is what  
8 is it you're trying to estimate. If you're  
9 talking about the adverse events, let's talk  
10 about the actual adverse event rate you  
11 expect.

12                   The fact that we don't have any  
13 concurrent controls I suspect means that if  
14 you do use INTERMACS, even if you don't, that  
15 we should probably have some concurrent  
16 controls that we could capitalize on.

17                   So I would start off by saying that  
18 that 50's too small. I don't know what you  
19 actually want to estimate. If you want to  
20 estimate an overall rate, a combined rate, if  
21 you want to estimate bleeding, whatever. But  
22 we need to determine that, and that we do need

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some, I would argue again, concurrent controls  
2 to do that.

3 CHAIRMAN LASKEY: And that's on the  
4 next page, so we'll get to that. But to the  
5 specific question is a follow-up one year  
6 post-transplant appropriate, we would all  
7 agree to that, irrespective of the end.

8 DR. NORMAND: So you're saying one  
9 year's long enough to see the adverse events?

10 CHAIRMAN LASKEY: I didn't say  
11 that.

12 DR. NORMAND: Oh, sorry. At least  
13 one year is needed to see it.

14 DR. MASSIE: Well, this is one year  
15 post-transplant. So this could be two years.

16 CHAIRMAN LASKEY: Right. That's  
17 correct.

18 DR. LINDENFELD: One year after the  
19 device is removed from the patient.

20 CHAIRMAN LASKEY: I think this  
21 overlaps with our recommendations for a post-  
22 approval construct, that I think we're getting

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the concepts out now, and we're sending a loud  
2 signal that 50 is not appropriate.

3 DR. MASSIE: What is INTERMACS'  
4 follow-up post-transplant? I mean before we  
5 say this, this may be --

6 DR. ZUCKERMAN: Dr. Massie, I think  
7 it may have to be whatever the FDA advisory  
8 panel recommends. I would go back to Dr.  
9 Norman's key points.

10 We're really looking for your  
11 advice on what are the key questions, so that  
12 we can then seriously discuss with the sponsor  
13 a post-approval registry that definitely needs  
14 to be completed in a timely fashion.

15 If INTERMACS can be a part, that's  
16 fine. But we need to define the key questions  
17 which are in Part B of this question set.

18 CHAIRMAN LASKEY: Can we move onto  
19 B? Are you okay with the answer to A?

20 DR. ZUCKERMAN: Yes.

21 CHAIRMAN LASKEY: All right.  
22 Separate subgroup analysis for women and small

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 body habitus patients, recognizing that  
2 there's substantial overlap.

3 I think we've said over and over  
4 again that we need more data in this group.  
5 So there's no question. How you deal with  
6 that in the registry can also be discussed.

7 But there should be a separate  
8 subgroup analysis, either comparing men to  
9 women or small to large. But there ought to  
10 be a mechanism to look at this issue.

11 DR. SOMBERG: Also age, age would  
12 be appropriate to look at.

13 CHAIRMAN LASKEY: Yes. But again,  
14 to keep us focused on the question at hand,  
15 the separate subgroup analysis, which I think  
16 will be incorporated into any recommendation  
17 that we have for a post-approval study.

18 DR. TRACY: I believe that's  
19 actually in there, in Section 8, 5.1, Patient  
20 Assessments. I do believe that that is what  
21 they propose to standard demographics of age,  
22 gender and patient's described ethnicity will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be recorded.

2 Maybe it just needs to be fleshed  
3 out to say that comparisons will be made. But  
4 they do intend to have that data.

5 CHAIRMAN LASKEY: Yes. I mean  
6 there's a big difference between entering that  
7 in the database and then looking at it in a  
8 more critical way.

9 DR. ZUCKERMAN: Right. The point  
10 of B is to ask the advisory panel should these  
11 two subgroups be prospectively identified and  
12 studied in a serious prospective manner, as  
13 opposed to, as Dr. Tracy was saying, there  
14 will be women entered in the registry.

15 We'll describe the percentage of  
16 women; we'll look at some exploratory features  
17 as we did in the pivotal trial.

18 DR. TRACY: But I think chances are  
19 you will find a lot more in this data as time  
20 goes by.

21 So it all has to be collected.  
22 Yes, those have to be separated out. But I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think there's always going to be something  
2 more that you don't anticipate at this point.

3 DR. ZUCKERMAN: Sure. But there's  
4 a difference between a prospective look versus  
5 retrospective. We're asking the advisory  
6 panel should this be prospectively identified  
7 as two key subgroups.

8 DR. LINDENFELD: Yes.

9 DR. ZUCKERMAN: Thank you.

10 CHAIRMAN LASKEY: What measures, I  
11 guess you can get more information on in the  
12 specifics of the registry. C?

13 MR. CHEN: Please comment on  
14 whether or not the success criterion for  
15 device effectiveness is adequate for a post-  
16 approval study, or if instead it would be more  
17 appropriate to utilize a concurrent control  
18 group in order to assess post-market  
19 effectiveness.

20 CHAIRMAN LASKEY: And in fact we've  
21 said over and over again that yes, we would  
22 ask for a concurrent control group, the nature

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of which we can specify.

2 Again, as we get to conditions for  
3 approval, although Sharon, did you want to  
4 share any ideas at the moment? Sharon?  
5 Concurrent control groups?

6 DR. NORMAND: Yes, I agree. There  
7 should be concurrent control groups.

8 CHAIRMAN LASKEY: Any suggestion of  
9 the nature of such?

10 DR. NORMAND: Well, I guess  
11 depending on the subgroups. So if you wanted  
12 to go prospectively and do some match sampling  
13 of women with TAXUS -- I don't know if they  
14 still want to do the same -- forgive me.

15 I was thinking about a discussion  
16 we had yesterday, where concurrent control  
17 groups was voted down. But in terms of  
18 looking at -- I don't know. I think the  
19 clinicians need to decide if it needs to be  
20 the same, if we want to use the XV, the VE or  
21 not.

22 So I don't know if you're asking me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what the comparison group should be, versus  
2 how to actually do that.

3 CHAIRMAN LASKEY: There are  
4 choices. This is the point, that there are a  
5 number of possibilities.

6 DR. LINDENFELD: I think clearly  
7 one concurrent control should be a device with  
8 a slightly different level of anticoagulation,  
9 since bleeding is a problem here. We ought to  
10 be sure that that comparison can be made, I  
11 think.

12 DR. TRACY: There is a problem,  
13 though, looking at a concurrent control for  
14 small sized people, because there is not  
15 another device that would be a concurrent  
16 control for that group of patients.

17 DR. LINDENFELD: No, but there are  
18 plenty in the women in the 1.5 to 1.7 or 8  
19 range, because women are more susceptible to  
20 bleeding and the effects of anticoagulation.  
21 I think just that's one thing that concurrent  
22 controls should help.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   We should be sure that we can  
2 establish that, because there is more  
3 anticoagulation in this protocol than in some  
4 other devices.

5                   CHAIRMAN LASKEY: So we would come  
6 down on the side of advising the control group  
7 inclusion. Yes, Gene?

8                   DR. BLACKSTONE: I would suggest  
9 that the wording that says "This success  
10 criteria" also be revisited, because I believe  
11 this is not a good success criteria.

12                   That instead one should have as  
13 success criteria some specified point in time,  
14 and a time-related method should be used for  
15 that and not these methods.

16                   CHAIRMAN LASKEY: And again, I was  
17 going to bring you into that discussion for  
18 the specifics of the nature of our  
19 recommendations for the post-approval study.  
20 But I think your point is well-taken, and we  
21 could probably just delete the "this," not  
22 knowing what "this" is going to refer to once

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701