

1 we changed the colonoscopy view to say, you
2 know, is it picking up cancers? So it depends
3 on the endpoint. But in any case, ROCs are
4 relevant. Is that your view?

5 DR. SAMUELSON: Well actually, I'd
6 like to hear your view at this point in time.

7 CHAIRMAN GLASSMAN: You will get
8 your chance in a little while.

9 DR. SAMUELSON: Because that's what
10 we're here for. I mean, I can give you my
11 opinion, but actually that will differ from
12 other people in the FDA, and I can tell you
13 that. And it will differ from other people,
14 well, a lot of other people.

15 So really, we're interested in your
16 point of view, at this point in time.

17 CHAIRMAN GLASSMAN: Dr. Steier?

18 DR. STEIER: I have a question for
19 Dr. Summers. What was mentioned was, in your
20 presentation, was requiring a pre-read by the
21 clinician in order to progress to the CAD
22 component. Could you talk about that a little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 bit more?

2 DR. SUMMERS: Right, I was trying
3 to think about how we could avoid the slippage
4 into the first read paradigm that was
5 mentioned in the earlier talk about
6 mammography and as a potential problem for the
7 colon, also. And what came to mind was that,
8 if the pre-CAD reading is recorded, it may not
9 be in the published report that goes in the
10 patient file, but it may be that in the future
11 there will be auditing and accreditation
12 similar for, to mammography accredited
13 centers.

14 And so that information would be
15 available to the auditor, and then you could
16 see whether the physician's performance pre-
17 CAD met certain guidelines, which of course,
18 are unspecified at this time. But that would
19 be the idea.

20 CHAIRMAN GLASSMAN: Dr. D'Orsi has
21 a question.

22 DR. D'ORSI: For the person who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 didn't feel ROC was relevant - I don't know
2 who that, I forgot, I'm sorry - if you give --
3 I can understand the relevance if you are
4 asking for cancer versus non-cancer. I can't
5 understand if you give proper instructions for
6 the presence or absence of a polyp. Maybe I'm
7 missing something.

8 DR. BEDDOE: I have to apologize.
9 I'm not a statistician myself, so I can't
10 speak on the subtleties of this argument.
11 That was presented by Steve Halligan, from
12 UCH.

13 CHAIRMAN GLASSMAN: Thank you. Any
14 other questions?

15 DR. ROSENBERG: One for Dr.
16 Samuelson. Others might be able to --
17 analogizing CT colonography with mammography,
18 it seems like the cut-point is whether the
19 patient needs to go from the CT scan to
20 colonoscopy.

21 DR. SAMUELSON: Okay.

22 DR. ROSENBERG: So, which is based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on number of polyps and accuracy of size
2 measurement. So can that type of analysis be
3 applicable to these devices?

4 DR. SAMUELSON: Which type of
5 analysis? I'm sorry.

6 DR. ROSENBERG: Well, which
7 category does the CAD device place the patient
8 in? How accurately does the CAD device, with
9 the assistance of the radiologist, and alone,
10 accurately categorize the patient?

11 DR. SAMUELSON: I guess I'm not
12 catching your question. In both cases, the
13 CAD goes to the image, marks a number of
14 different locations, and typically those
15 numbers of locations is going to be on the
16 order of two because that's the typical number
17 of false positives per image - two, maybe
18 three or four, something like that. And that
19 happens in both cases, in both a CTC and a
20 mammogram, that's what the CAD devices will
21 do. And then it is up to the radiologist to
22 go and eliminate and look at each of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 locations and eliminate them as possibilities
2 of being actual lesions.

3 DR. ROSENBERG: Okay.

4 DR. SAMUELSON: And then it's up to
5 the radiologist to make the decision as to
6 whether to send that person on to optical
7 colonoscopy, or send them on to, you know,
8 further diagnostic imaging, or biopsy, or
9 whatever in mammography.

10 DR. ROSENBERG: Okay.

11 DR. SAMUELSON: Does that make
12 sense?

13 DR. ROSENBERG: Yes.

14 DR. SAMUELSON: Does that cover
15 your question?

16 DR. ROSENBERG: That's fine.

17 DR. SAMUELSON: Okay, thanks.

18 CHAIRMAN GLASSMAN: Any other
19 questions for any of our speakers? If not, we
20 will now continue with the Panel's general
21 discussion of colon CAD devices after which we
22 will focus our deliberations on the specific

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 FDA questions.

2 I would like to remind the public
3 observers of the meeting that, while this
4 portion of the meeting is open to public
5 observation, public attendees may not
6 participate unless specifically requested to
7 do so by the Chair.

8 I would like to begin the general
9 discussion now. Dr. Wong, do you want to
10 start?

11 DR. WONG: Yes, I do.

12 CHAIRMAN GLASSMAN: Colon CAD. Any
13 general comments you want to make?

14 DR. WONG: Yes, I think that the
15 actual colon CAD is really a major advance in
16 the sense that it allows us to, without you
17 know, a radiologist, identify major lesions in
18 the colon. I think that as a practical
19 gastroenterologist, or let me just put it this
20 way, as a physician recognizing that colon
21 cancer is a major problem and recognizing that
22 basically, if you look at any form of testing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for colon cancer, if you do it, you have a
2 major advantage in the sense that you actually
3 identify lesions, you probably save lives. So
4 I mean that's basic, and I think we all
5 understand that.

6 So in one sense, this CAD system is
7 really an advance. The problem is, that
8 obviously, the question is, does it save you
9 time? Because we always run into this problem
10 with time. And that is, if you're doing a
11 screening test, and generally speaking what I
12 have seen at Walter Reed where we do a lot of
13 CTCs, virtual colonoscopy, we do either of
14 those, optical colonoscopy or CTC.

15 The patient can choose. We
16 decided, at Walter Reed, at the Navy, where
17 Pickhardt was located, Dr. Troy is located,
18 that it's good enough, with the data that we
19 have had from the New England Journal article,
20 that we offer both of these tests to the
21 patients.

22 That, when you get the CAD system,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what you are hoping for, is because you've got
2 so many patients that you need to screen, you
3 might be able to save some time. The
4 unfortunate thing and the good thing about CAD
5 is that you identify these, but you still need
6 somebody to go over the CAD which means that
7 the individual probably has to be a second
8 reader, which means he has got to read the
9 virtual colonoscopy, spend time doing that,
10 then look at the CAD, read the CAD which will
11 just add more time.

12 The hope would be that the CAD
13 would be so good that it could actually be a
14 primary reader, so you could move people
15 through the system and you could do more
16 patients. I mean, I think that's something
17 that is sort of a utopian view.

18 On the other hand, you could look
19 at it and say that the likelihood of a CAD
20 missing a very large lesion, that is to say,
21 greater than one centimeter, is probably
22 small, and that in one sense, the CAD would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 probably pick up the vast majority of
2 patients, if you're looking at that as your
3 ultimate criteria, greater than 10
4 millimeters, or one centimeter.

5 CHAIRMAN GLASSMAN: Okay. Dr.
6 Swerdlow?

7 DR. SWERDLOW: I agree. I think
8 one of the key differences between mammography
9 and CTC is the length of time it takes to read
10 one mammogram is much, much shorter than it
11 takes to read a CTC. Dr. Kim has much more
12 CTC experience than I do, but I'm still on the
13 order of more than 20 minutes to read one.
14 And you are probably faster.

15 But to have -- if the number of
16 cases grows the way it has the potential to,
17 we really do need some time saving. The other
18 key point, I think, is that if the trigger is
19 to trigger an action in mammography, to
20 trigger a call back, or to trigger a biopsy,
21 versus a CTC, it triggers a colonoscopy. If -
22 - I think there is more of a first reader or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 concurrent reader potential here because if it
2 finds one polyp big enough, even if we don't
3 quite catch all the polyps, that's going to
4 trigger an action. And hopefully, the optical
5 colonoscopy will catch them.

6 That said, if, as a first reader,
7 it doesn't catch them, I think we still have
8 to do a very careful detailed examination
9 because you can't trust it. But there is some
10 inherent differences such as that to think
11 about when comparing this to a mammography
12 system.

13 CHAIRMAN GLASSMAN: Dr. Kim?

14 DR. KIM: You know, I think CAD
15 really will have an interesting and positive
16 role in CT colonography. Just to give you a
17 little bit of background, one of the reasons I
18 think CT colonography is so effective at
19 detecting polyps is the redundancy of the
20 technique. So two series are acquired, prone
21 and supine, and from those series we look at
22 the data six times.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Basically two fly-throughs, rectum
2 to cecum and cecum back to rectum for each
3 series. And then we look at the 2D source
4 data and look at a focused 2D in the areas
5 that we know that 3D has some limitations,
6 particularly in the aspect of carpet lesions
7 in the cecum and rectum.

8 And so it's that redundancy that
9 has allowed us to have high sensitivities.
10 And I think that CAD is going to be very
11 important to add a layer of redundancy,
12 particularly if you use a 2D primary reader
13 paradigm for CTC.

14 So one way is to look at the data
15 3D for polyp detection. The other way is to
16 look predominantly at 2D. And I think that,
17 you know, I really believe that the 3D is a
18 more sensitive way to go, but it's probably
19 likely that, as radiologists -- as this is
20 rolled out, radiologists will start with the
21 primary 2D because it's very familiar. It's
22 essentially like reading a CT scan.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The problem with the primary 2D is
2 that you have perceptual -- pure perceptual
3 abnormalities where what you think is a fold,
4 actually is a focal polyp. And when you turn
5 to the 3D display, you realize in 3D that it's
6 a focal polyp. And that's why you hear people
7 from a primary 2D approach say they use
8 primary 3D for characterization because they
9 are looking at the 3D aspect of it.

10 So even for large lesions over a
11 centimeter, unless you take a very meticulous
12 search pattern and you're experienced, there
13 is a possibility that you could miss that
14 lesion. And that is the lesion I see as CAD -
15 - no CAD system should miss that lesion. You
16 have that extra layer of redundancy, kind of a
17 spell checker in that instance.

18 And I think what we have to decide
19 is where we're going to set the set point for
20 CAD. I would argue that you should set it on
21 the bigger side to make sure you don't miss
22 the important lesions and not worry so much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about sub-centimeter lesions.

2 And then to get back to you on the
3 point of how are things measured in the CTC
4 literature, people have reported out per polyp
5 set points in terms of sensitivity and
6 specificities, per adenoma, and per patient.
7 And we know that between per polyp and per
8 adenoma because when you look at a polyp, you
9 have no idea what the histology is. Is it
10 hyperplastic mucosal, or adenomatous?

11 We know that if you look at per
12 polyp, you are going to have a lower
13 sensitivity at CTC for a given size than if
14 you look at per adenoma. And the theory is
15 that the non-neoplastic lesions, hyperplastic
16 or mucosal, flatten out with CO₂ distension
17 and become harder to see.

18 And so, you know, what we're doing
19 is trying to find a surrogate for the target
20 lesion, the benign -- and it's a benign
21 lesion. And I think that's one of the things
22 that sometimes gets lost in the shuffle.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We're not looking for cancer when we screen
2 for colorectal cancer. We're looking for a
3 benign precursor target lesion that will turn
4 into cancer, and typically, that has been a
5 small set, subset of adenomas, although with
6 more recent information, there probably is a
7 small subset of hyperplastic polyps that turn
8 into cancer as well.

9 But the good thing is, we have this
10 huge time interval and the fact that the
11 cancer -- it usually takes a certain size
12 before the majority of the cancers occur. And
13 so we can use size as kind of our surrogate
14 and our cut-point. And I would say that one
15 of the discussion points would be where to set
16 that, and I would say a centimeter would be a
17 logical choice.

18 CHAIRMAN GLASSMAN: Let me ask Dr.
19 Lin to comment, and then we'll open it up to
20 the rest of the Panel.

21 DR. LIN: Thank you. I just want
22 to make a few points about a few topics, areas

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in which colon CAD may be different from
2 mammography CAD. There was some comment about
3 the use of ROC curve analysis. In my opinion,
4 I don't think ROC curve analysis is needed in
5 this situation because it's a little different
6 from the situation with the breast.

7 Here we're really looking at a
8 binary decision point. We are deciding
9 whether or not the patient needs to go to
10 colonoscopy. And all we have to do is,
11 basically set a cutoff point, and people may
12 disagree about the actual cutoff point. I
13 think a lot of people would agree that a 6
14 millimeter polyp might be a reasonable cutoff
15 point. But whatever that cutoff point is, we
16 can set a cutoff point and then calculate a
17 sensitivity and specificity without actually
18 using ROC curve analysis, which is, I think,
19 kind of cumbersome in this situation. So I
20 think that's a major difference from
21 mammography CAD.

22 The other issue has to do with what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to use for the so-called ground truth or gold
2 standard test. And I think most
3 gastroenterologists would agree that we should
4 use the technique of segmental unblinding
5 which basically combines information from both
6 the optical colonoscopy as well as the virtual
7 colonoscopy, to arrive at a gold standard.

8 The third point I wanted to make
9 was for colonography studies, the prevalence
10 of lesions of interest, which in this case,
11 would probably be large polyps and colon
12 cancer, that prevalence is relatively high.
13 It's much higher than the prevalence for
14 breast cancer, which is around 0.5 percent.

15 For colon cancer and large colon
16 polyps, larger than one centimeter, it's
17 around 5 percent. So in this kind of
18 situation, there may not be a need for case
19 enrichment. And we would be able to calculate
20 sensitivity/specificity, as well as positive
21 and negative predictive values, you know, when
22 we don't image the database.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The other point I wanted to make is
2 to agree with some of the other speakers, I
3 think the CAD should be used as a -- in a
4 second reader role, okay, to improve
5 sensitivity of the colonography technology. I
6 think we should focus on that instead of
7 focusing on trying to reduce the reading time,
8 because, right now, there is still -- I can
9 tell you from the gastroenterology
10 perspective, there is still some question as
11 to the accuracy of CT colonography.

12 And I think any technique that we
13 have available to improve the accuracy of CT
14 colonography is going to be helpful, instead
15 of trying to find ways to reduce reading time.

16 And then, the last quick thing I
17 wanted to mention was the issue of overlap
18 criteria. For the breast, I think it's
19 relatively easy to see if a certain lesion
20 that was seen in one imaging study, is
21 actually another lesion that's seen in a
22 different imaging study.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But in the colon, sometimes it's
2 very difficult to figure out if a certain
3 lesion that was seen on CT colonography is
4 actually the same lesion that's seen on
5 optical colonoscopy because the colon is very
6 mobile. So it's not stationary like the
7 breast is.

8 Not only is there an issue of the
9 3-dimensional, you know, question, but it
10 actually moves around. So that is difficult
11 when doing studies.

12 CHAIRMAN GLASSMAN: Are there any
13 other comments from Members of the Panel about
14 general CT colonography? Dr. D'Orsi?

15 DR. D'ORSI: I just wanted to get
16 an idea of the number of people eligible for
17 screening colon exam per year about.

18 DR. KIM: There is about 80 million
19 people over the age of 50 that would be
20 eligible for screening. And of that group,
21 currently about 40 million individuals choose
22 not to get screened. The 40 million that do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 choose to get screened per year, about two
2 million is done by optical colonoscopy, and
3 the large segment is done by a non-full
4 structure evaluation of the colon. So what we
5 would consider is probably not the optimal way
6 to look for polyps.

7 CHAIRMAN GLASSMAN: Dr. D'Orsi
8 again.

9 DR. D'ORSI: Just a follow-up. If
10 American Cancer Society information is
11 disseminated and all of a sudden you had an
12 influx of a very huge amount of people, do you
13 have enough staff to handle that and do you
14 think CAD would be helpful in alleviating that
15 problem?

16 DR. KIM: Well, let me tackle this
17 in two points. One is, is there enough staff,
18 and is there enough hardware? The hardware
19 question, we've looked at, and I think Perry
20 has published this, and I can't remember the
21 journal. But basically, we looked at whether
22 or not there were enough current MDCT scanners

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to handle this.

2 And we used a Markov model to look
3 at how many scans you would need during a
4 ramp-up phase, and then a steady state phase.

5 And basically, the numbers that it came to
6 with the current number of CT -- multi-
7 detector CT scanners, by the IMD report, it
8 would boil down to about 1.5 exams per scanner
9 per day.

10 So I think on the hardware side, we
11 certainly have the capacity to screen the
12 people that need to be screened. The staffing
13 side is another issue. If we can get enough
14 people interested to do this because this
15 really does require some specialized training
16 to do, but hopefully we would be able to do
17 it.

18 And I think as -- one of the
19 reasons why I think CAD will be so effective
20 is that, as people read studies away from the
21 situation, the academic situation where you
22 have a lot of time to look at cases, and you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are doing high volume screening, it's very
2 hard to do from a 2D approach. And if you
3 tried to do it, I think that CAD will be
4 something that you would really want to use as
5 a something like a -- that checks your --
6 checks to make sure that you haven't made an
7 error because you've gone too fast and missed
8 a big polyp.

9 CHAIRMAN GLASSMAN: Any other
10 general comments? Dr. Dodd?

11 DR. DODD: I just want to ask Dr.
12 Lin a question relating to the ROC analysis.
13 I understand that you want to set some
14 threshold of, you know, say greater than a
15 centimeter at a minimum, but is it not
16 possible to assign some likelihood of that
17 polyp being greater than a certain size?

18 DR. LIN: I think it's certainly
19 possible. I just don't think it's really
20 necessary because, you know, when the
21 radiologist reads the virtual colonoscopy
22 scan, they basically report a size. They say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they think this is eight millimeters. I'm not
2 sure how they can score how likely that this
3 assessment is accurate. Maybe Dr. Kim can
4 comment on that.

5 DR. KIM: Well, I think that,
6 actually, we do score likelihood in terms of
7 likelihood of it being a true soft-tissue
8 polyp. And so there is a classification
9 scheme that we use, Walter Reed and Bethesda
10 Naval used, as well, and that's the C-RADS
11 classification.

12 The C-RADS document was drafted by
13 the working group on virtual colonoscopy, and
14 Mike Zalis was the lead author. And within C-
15 RADS, there is a diagnostic score that ranges
16 from one to three whether or not you truly
17 believe what you are calling as a polyp is a
18 true soft-tissue polyp. One being the least;
19 three being the most certain.

20 And so I wonder if you can't do an
21 ROC analysis from that because I can tell you,
22 what happens infrequently is that we will call

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a 15 millimeter polyp that is not seen, and it
2 becomes a discordant case. And if our
3 diagnostic score is three, all of those have
4 turned out to be real because we call the
5 patients back. They are on the second CTC.
6 They go back to optical colonoscopy, and it's
7 found.

8 If your diagnostic confidence is
9 two or one, then the likelihood of it being
10 real and repeat CTC or OC is much lower. So
11 we actually do score all our polyps with this
12 scale.

13 CHAIRMAN GLASSMAN: Okay. Dr.
14 Berry?

15 DR. BERRY: A couple of points.
16 First, Dr. Kim, I think you did not mean to
17 say 40 million per year, because those who get
18 screened regularly don't do it, and so
19 probably eight million a year, or something
20 like that.

21 The distinction between breast and
22 colon; there has been a lot of studies that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 address false positive in breast, and it's a
2 period, and it's an indication that is very
3 anxiety-ridden for women. It's a horrible
4 circumstance at least temporarily.

5 Whereas in colon cancer, I don't
6 know of studies, but I know of friends who
7 say, you know, they found some polyps, and
8 they took it out and it's -- you know, isn't
9 that great? So I think the sensitivity issue
10 is paramount, and I think this is consistent
11 with what Dr. Kim indicated.

12 And that the false positive rate,
13 if by false positive we mean we didn't find a
14 polyp that was as big as we thought it was, is
15 not that important. And so I think that's a
16 distinction between the two in terms of
17 sensitivity and specificity in the tradeoff.

18 With respect to that, ROCs, you
19 know, ROC looks like a curve and, indeed, it
20 is, but it's based on a sequence of yes or no,
21 positive/negative, what do you call. So it's
22 a dichotomous result.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Here in colorectal cancer, I'm not
2 sure it's dichotomous. For example, suppose
3 you define a true positive as a polyp that is
4 six millimeters or greater, and your CAD leads
5 you to do a colonoscopy, and you take the
6 thing out, and it turns out to be five
7 millimeters. Is that a false positive?

8 I mean, by your definition, it is,
9 but I think some sort of -- I mean, maybe the
10 dichotomous view of the ROC is not
11 appropriate, and we ought to have some sort of
12 category or, you know, continuum.

13 CHAIRMAN GLASSMAN: Dr. Garra?

14 DR. GARRA: I would just like to
15 comment on the ROC thing. You know, if you
16 were saying it was a six millimeter polyp, the
17 rating scale would be how confident are you
18 that it's six millimeters if you wanted to do
19 measurements. But more likely, it's what Dr.
20 Kim says, how confident are you that it is
21 truly a polyp that is six millimeters in size
22 versus some other kind of bump on the colon.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So this is a made-to-order category
2 -- this is a made-to-order scheme that ROC can
3 be used in. It's a detection experiment. It
4 works perfectly in ROC, as far as I can see.

5 CHAIRMAN GLASSMAN: Okay.

6 DR. TOURASSI: I'm shifting gears a
7 bit, but could somebody comment on any studies
8 regarding the variability of radiologist's
9 performance when interpreting CTC, in terms of
10 sensitivity and specificity?

11 DR. KIM: Sure.

12 DR. TOURASSI: Is there a
13 difference in terms of experience level --
14 Definitely size of polyps, and do you see a
15 need for CAD to bridge the gap between less
16 experienced radiologist and more experienced?

17 DR. KIM: In terms of CTC
18 performance, I think the feasibility was shown
19 pretty well by Helen Fenlon and Judy Yee.
20 Helen Fenlon had a New England Journal article
21 in 1999, and Judy Yee had an article in
22 Radiology in 2001. Both series showed that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 at the 10 millimeter threshold, the
2 sensitivity was over 90 percent.

3 A couple of years later, there were
4 a series of four studies that kind of threw
5 everything in disarray. Dan Johnson came out
6 with a study that showed sensitivities at that
7 10 millimeter threshold of somewhere in the 50
8 to 60 percent. That was followed by Perry's
9 study in the DOD trial in New England Journal,
10 which showed for adenomatous polyps, it was at
11 93 percent, a little bit higher than 93
12 percent.

13 And then, on the heels of Perry's
14 study were two studies, one by Don Rockey and
15 the other by Peter Cotton, which again showed
16 very poor sensitivities at 50 to 60 percent.
17 And so people asked, you know, what is truly
18 the performance of CTC, and I think that's
19 what Otto was talking about, that people had
20 questions about it.

21 And I think what people pointing to
22 was multi-factorial. I would say one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 largest contributors is this issue of 2D
2 versus 3D, in that there are just some pure
3 perceptual errors that you can do at 2D unless
4 you really take care where they are much
5 easier to detect as truly a polyp at 3D.

6 The other issues were related to
7 the other aspects of the exam. They used
8 older techniques, didn't fully distend the
9 colon, did not use any oral tagging agents,
10 and then there were some issues of reader
11 training whether it was really not adequate
12 training on this modality before the readers
13 took part in the study.

14 I think now -- sorry, let -- just
15 recently, there is now a consensus that CTC
16 has sensitivities in 90 percent, ACRIN 6664,
17 the Italian Colorectal Cancer Prevention
18 Trial. The sensitivities probably are over 90
19 percent at the 10 millimeter threshold with
20 state of the art technique.

21 DR. TOURASSI: So there is no issue
22 regarding expertise, levels of expertise

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 because of that?

2 DR. KIM: I would say that if you
3 are appropriately trained, that there isn't.
4 But reader training is key because the ACRIN
5 has shown that, if you aren't trained well,
6 that you're going to miss it. So when they
7 had called all the experts in to become
8 readers, they had them take a competency test,
9 and four failed. Actually, only nine passed,
10 11 failed, four of the 11 failed so badly that
11 they decided not to be included, or something
12 like that in the trial.

13 CHAIRMAN GLASSMAN: Okay. I want
14 to move on to the questions, at this point.
15 We have seven colon CAD questions, not CTC
16 questions, but colon CAD questions. And I
17 would like to focus on that now before we get
18 a chance to leave this room.

19 So we're going to start with
20 question C1, please. Please discuss the
21 potential clinical utility of CTC colon CAD,
22 including improved sensitivity to detection of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 polyps of different sizes, reduced reading
2 times, and guiding optical colonoscopy for
3 intervention.

4 Why don't we -- we have touched on
5 this, but why don't we look at this
6 specifically right now, and why don't we have
7 Dr. Swerdlow, Kim and Lin begin the
8 discussion, and Dr. Wong, also. Who wants to
9 go first? Dr. Kim? Great.

10 DR. KIM: You know, I guess,
11 starting with the second one, reducing reading
12 times. I think that for all the reasons, that
13 it should be a second reader paradigm, and I
14 can't see how that will reduce reading time.
15 So add it, and hopefully it will be an
16 acceptable amount.

17 In terms of guiding optical
18 colonoscopy, I think that's more a function of
19 CT colonography, whether you see it from a CAD
20 mark, or whether the radiologist detected.
21 It's the underlying CT colonography exam that
22 will be able to guide exactly where the polyp

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is.

2 In terms of improved sensitivities,
3 different sizes, I think the biggest impact
4 it's going to make from a public health
5 standpoint, is that, if you set it at the 10
6 millimeter threshold, you're going to capture
7 the lesions that really make a difference.
8 You know, there is a lot of controversy with
9 this six to nine millimeter group. And
10 whether we drop it to 6 millimeters, you know,
11 I think that's okay, but that is going to add
12 to the number of false positives that you have
13 to work through, and the number of CAD hits.

14 I think for certain that we should
15 say that you can -- that it should not be
16 intended for anything diminutive, that is,
17 five millimeters or less.

18 DR. SWERDLOW: I essentially want
19 to agree. Just to clarify, I think that, at
20 this point, we're still relatively early in
21 the game in terms of big numbers. The
22 patients that -- clearly, we need to, you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know, make sure that our sensitivity numbers
2 are absolutely solid and acting as a second
3 reader is clearly the way to go.

4 When I was talking about improving
5 reading times, I was really crystal balling
6 way down into the future when, as we have
7 discussed now, the potential for the number of
8 patients can be potentially huge. But I think
9 we are a long way from being to that point.

10 And I agree also the -- as far as
11 guiding optical colonoscopy, that's
12 essentially inherent in most of the software
13 that is out there, regardless of whether the
14 computer makes the mark or the radiologist.

15 CHAIRMAN GLASSMAN: What do you
16 think about setting the equipment at 10
17 millimeters? You had mentioned, I think, six
18 earlier.

19 DR. LIN: Yes, I think from a GI
20 perspective, most gastroenterologists,
21 actually I personally am a little ambivalent
22 about that, but most gastroenterologists are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to be uncomfortable setting the
2 threshold at 10 millimeters.

3 10 millimeters is what we call an
4 advanced neoplastic lesion by definition. And
5 those lesions in GI studies are used as a
6 surrogate endpoint as representing lesions
7 where there is a high chance of progressing to
8 colon cancer over the space of a few years.

9 Lesions that are six to nine
10 millimeters in size usually are benign. There
11 are -- there is a small percentage of them
12 that actually harbor characteristics, like a
13 high grade dysplasia or other histologic
14 characteristics, that might make them higher
15 risk.

16 So I don't know if most
17 gastroenterologists are going to be
18 comfortable excluding those lesions or
19 disregarding those lesions.

20 So I think there is a sort of a
21 different philosophy between GI and radiology
22 when it comes to this sort of intermediate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sized group of polyps. And I would agree that
2 polyps smaller than five millimeters are
3 probably clinically not significant. And, in
4 fact, studies have looked at that and the risk
5 of colon cancer development is very small.

6 With regard to the questions, I
7 would agree with Dr. Kim completely. The main
8 purpose, I think, for the CAD is to improve
9 sensitivity, not to reduce the reading time,
10 at least at this point in time, at this point
11 in its development.

12 And with regard to guiding optical
13 colonoscopy, that's really going to be
14 regardless of whether or not the lesion is
15 found by CAD or by the radiologist. I mean,
16 the colonography will be helpful in terms of
17 guiding optical colonoscopy either way.

18 CHAIRMAN GLASSMAN: Dr. Wong?

19 DR. WONG: Yes, the only thing I
20 would add is that what we find and what we've
21 got to realize is, it's not just the
22 gastroenterologist that sees these cases or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 orders the CT. Ultimately, by doing virtual
2 colonoscopy will open it to all various types
3 of specialties.

4 So the family practice individual,
5 they are the ones that are sending actually a
6 large majority of the cases. So what happens
7 is that a lot of this data that comes back in
8 this area between 6 and 9 where there is a
9 difference between the gastroenterologist and
10 the radiologist, the primary care individual
11 basically reads the results of the CT
12 radiographer.

13 And right now, we have differing
14 opinions. The CT radiographers bring patients
15 back more frequently than we would, and
16 obviously when we do it, we actually take the
17 polyp out so the patient doesn't have to come
18 back. So these are kind of just a little bit
19 of the differences between the radiologist
20 point of view at this point in time versus the
21 gastroenterologist.

22 I think most gastroenterologists,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 if they see a CT like we do, and we have a
2 polyp between 6 and 9 millimeters, we tend to
3 go ahead and offer the patient a colonoscopy,
4 and you know, probably take the polyp out.

5 I think the primary care individual
6 -- at Walter Reed, we tend to say you can come
7 back in a year or in three years, depending on
8 the size of the polyp. And the primary care
9 individual will take the recommendations of
10 the radiologist and follow it that way.

11 So I think there is just kind of a
12 difference in opinion right now. I think the
13 radiology community is probably a lot more
14 cautious. They want to make sure that they
15 follow the patients more carefully because we
16 don't know what the endpoint is going to be.
17 We don't have long-term studies.

18 But I think we all agree that a 1
19 millimeter -- one centimeter or 10 millimeter
20 polyp is clearly the polyp that needs to be
21 removed.

22 (Whereupon, at 5:00 p.m. the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 meeting continued into the evening session.)

2

3

4

5

6

7

8

9

10

11

E-V-E-N-I-N-G S-E-S-S-I-O-N

12

5:00 p.m.

13

CHAIRMAN GLASSMAN: Dr. Swerdlow,

14

any comment?

15

DR. SWERDLOW: Same points.

16

CHAIRMAN GLASSMAN: Same points.

17

Well, I would like to ask a question, at this

18

point. Dr. Lin, you mentioned that a 10

19

millimeter polyp was an advanced lesion which

20

would turn into carcinoma within several

21

years, if I'm quoting you correctly. If we

22

have that kind of time, 10 millimeters, nine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 millimeters, eight millimeters with follow-up,
2 is that a reasonable thing from the
3 gastroenterology standpoint?

4 DR. LIN: Well, I'm not sure. I
5 don't think we really know what happens to
6 these 1 centimeter polyps. I think the fear
7 is that based on the studies that were done a
8 long time ago when the natural history of
9 polyps were followed because now a days we
10 really can't do these studies. Whenever we
11 see a one centimeter polyp, it's coming out.

12 So nobody really knows what the
13 natural, the true natural history of the so-
14 called advanced neoplasms are.

15 CHAIRMAN GLASSMAN: I guess I asked
16 the question poorly. I really meant is it
17 unreasonable to set the marker at 10
18 millimeters if we have time to find the six to
19 nine millimeter two or three years later?
20 That's really what I meant. I'm sorry.

21 DR. LIN: I guess that might be
22 reasonable from a standpoint, but then the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question will be why not remove it now if we
2 have to do another test in two or three years
3 and probably will have to remove it at that
4 time anyway? So but I can see what your point
5 is, you know.

6 DR. KIM: I guess I would, if I
7 could respond to that?

8 CHAIRMAN GLASSMAN: Sure.

9 DR. KIM: You know, I guess the
10 problem that we have had with this argument,
11 in one form or another between radiology and
12 gastroenterology, and the reason why is, that
13 screening by CTC is different from optical
14 colonoscopy.

15 Optical colonoscopy; you are there
16 and you see a polyp. It takes nothing to
17 remove it. You have diagnostic and
18 therapeutic options, and then so if you left
19 it in to surveillance say, you know, it
20 doesn't mean the size threshold is for
21 following, and you send him back and see him
22 back in two years. One, you don't know if it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has changed because it's very difficult for
2 you to know if your sizes are reproducible at
3 optical colonoscopy. And two, you don't know
4 exactly where you are in the colon in terms of
5 lesion localization.

6 So is that the same polyp or not?
7 So it makes complete sense to clear out the
8 colon. If you are screening by optical
9 colonoscopy, you have a method of removing the
10 polyps. What we are doing at CT colonography
11 is one step removed from the therapeutic
12 option. So it makes complete sense that if
13 you had a huge population of low risk
14 patients, you should filter them out and only
15 send the ones that have a reasonable chance of
16 having that benign precursor target.

17 And we're doing that by setting a
18 size threshold. So, that's sort of the
19 difference between the two philosophies. And
20 I would agree with you that, you know, the 10
21 millimeter threshold and if it's an adenoma,
22 it automatically makes it an advanced adenoma.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 But a large portion of those are two vertices
2 in histology. And I would say that that is a
3 very different sort of biologic activity than
4 something with high grade dysplasia.

5 So I think that the time interval
6 you had, even for the 10 millimeter threshold,
7 which we would all agree to get -- to send
8 away to optical colonoscopy, that you have
9 time. You know, there are studies that model
10 that this may take, even for advanced lesion,
11 up to five years plus.

12 CHAIRMAN GLASSMAN: Another
13 question that I have. In your experience,
14 those of you who do CT colonography CAD, has
15 the CAD improved your sensitivity for polyps
16 in a clinically relevant range? It's one of
17 our specific questions, and I want to make
18 sure that we get a specific answer to that.

19 DR. LIN: I have not used CAD.

20 CHAIRMAN GLASSMAN: Okay.

21 DR. KIM: We actually do not use
22 CAD clinically. Our sensitivities have been

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 such at 90 percent for that 10 millimeter
2 threshold that we actually do not use it as
3 part of our clinical practice. So it's mostly
4 just research.

5 CHAIRMAN GLASSMAN: How about in
6 the literature? What are you aware of, either
7 of you, or anyone else on the panel for that
8 matter?

9 DR. BERRY: Mr. Chairman, can I
10 point to the question which says, "identify
11 potential".

12 It doesn't say we're supposed to answer the
13 question, "is it sensitive?" Is this a
14 potential benefit?

15 CHAIRMAN GLASSMAN: True. But if
16 there is data out there that's known to answer
17 it, but you're right.

18 DR. BERRY: Right.

19 CHAIRMAN GLASSMAN: Does anybody
20 know of any studies?

21 DR. KIM: Well, Petrick's, Nicholas
22 Petrick's study showed that, you know, for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 size category six, nine and 10 millimeters
2 above, that you're going to get somewhere
3 between 14 or 15 percent increase in
4 sensitivity for concomitant decrease in
5 specificity. So although there was some
6 trending, I think, to improving the area under
7 the curve it wasn't statistically significant.

8 My guess is though that CAD will
9 have a positive effect because of this
10 redundancy adding another layer, another
11 chance to detect a large polyp.

12 CHAIRMAN GLASSMAN: Any other
13 comments? Dr. D'Orsi?

14 DR. D'ORSI: Let's say you are sent
15 in by CAD or virtual, the CT colonoscopy to do
16 an optical colonoscopy, and you see the large
17 polyp, but you also see four five millimeter
18 polyps. Do you clinically remove all of those
19 or just the large one? And if you do remove
20 all of them, will CAD, if it correctly
21 identifies many five millimeter polyps, will
22 that change the way you work clinically?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. WONG: Yes, I can answer that.

2 CHAIRMAN GLASSMAN: Good.

3 DR. WONG: When you do colonoscopy,
4 you take everything out. You clean the colon.
5 So while you have a larger polyp, any of the
6 smaller polyps you would also remove.

7 DR. D'ORSI: Would you without --
8 CAD showed you four five millimeter polyps,
9 would you go in and take all of those out?

10 DR. WONG: Well, generally, the way
11 they read the virtual colonoscopy, anything
12 less than five millimeters, they don't report.

13 DR. D'ORSI: But if you see them,
14 because you're going in for something else,
15 you will take them out?

16 DR. WONG: Oh, yes, yes, we will
17 take everything out.

18 DR. D'ORSI: All right. Well, I'm
19 a little confused.

20 DR. WONG: But I mean, we -- if
21 you're looking at the criteria that are being
22 used, you know, we -- they tell us the size of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the polyp and the ones that they send to us
2 are greater than 10 millimeters. So those are
3 the polyps -- those are the cases that we are
4 doing related to virtual colonoscopy.

5 So in other words, if they report
6 that there is less -- there is no evidence of
7 anything less than five millimeters, we
8 wouldn't do that. The other interesting thing
9 that I should bring up is that when you see a
10 larger size polyp on virtual colonoscopy, it's
11 kind of our experience that these individuals
12 may actually be polyp growers so that you may
13 also maybe in 30 or 40 percent of the cases
14 find more polyps than are actually noted on
15 the VC.

16 So it's kind of a surrogate marker
17 that this individual actually is a polyp
18 grower. So in a sense, it's good for us
19 because when we go in and find other polyps
20 that may not be identified or even smaller
21 ones, but several of them, I think we do a
22 good thing for the patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Dr. Berry.

2 DR. BERRY: So can I ask a follow-
3 up? Does that mean that if you do a -- if you
4 clean out the colon, as you say, do you call
5 the patient back in five years? Whereas, if
6 you do CAD with optical, you -- and you see
7 some residual small polyps that you don't call
8 them -- you call them back in two years? I
9 mean, are we talking about a difference in
10 intervals here, which is a potential benefit
11 for the cleaning out?

12 DR. WONG: No, what we do is if we
13 find an adenomatous polyp and it's less than--
14 a single one less than say seven or eight
15 millimeters, we call them back in five years.

16 If we find three polyps that are six
17 millimeters or greater, they come back in a
18 year. And if we find a polyp that is greater
19 than a centimeter, they come back in a year
20 for another re-look.

21 DR. BERRY: So this is a much more
22 complicated setting. Now, there is an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 additional possible dimension to the benefit
2 of -- or risk of CAD.

3 CHAIRMAN GLASSMAN: Okay. Are
4 there any other comments? Yes?

5 DR. SPINDELL: Again, I would just
6 like to make sure that we are talking about
7 CAD colonography versus colonography, as what
8 we are trying to evaluate here. Because it
9 seems like we keep on getting into the
10 colonography versus colonoscopy argument,
11 which is not what, I don't -- which I believe
12 is not the purpose of this issue.

13 CHAIRMAN GLASSMAN: You took --

14 DR. BERRY: But doesn't it lead to
15 that?

16 CHAIRMAN GLASSMAN: -- the words
17 right out of my mouth.

18 DR. SPINDELL: It does lead to
19 that, but what we're trying to say here is
20 colonography has a certain role. Does CAD
21 improve the role of colonography or not? Not
22 does CAD improve the colonoscopy colonography.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It does -- if the radiologist reads a
2 colonography and get a result, and then they
3 read it with CAD and they get -- what is the
4 difference in those readings?

5 I think that's what the purpose is
6 and not to determine whether it is
7 colonography or colonoscopy.

8 CHAIRMAN GLASSMAN: You said it
9 better than I was about to. Thank you. One
10 more comment from Dr. D'Orsi, and then we're
11 going to close this question.

12 DR. D'ORSI: I still have a little
13 problem with the difference in the way you
14 handle those two findings. If you -- if the
15 CAD says I see three, four, five millimeter
16 polyps and nothing else, you don't do anything
17 about them. If the CAD says I see one seven
18 millimeter polyp and three five millimeter
19 polyps you would go, I assume, most of the
20 time or some of the times to colonography and
21 remove the five millimeter polyps. That's
22 what I'm having trouble reconciling.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. KIM: They would go to
2 colonoscopy not colonography.

3 DR. D'ORSI: I'm sorry, that's what
4 I meant. You would go to colonoscopy and
5 remove everything that you see. However, if
6 the CT exam identifies only three or four five
7 millimeter polyps, that person would not go on
8 to colonoscopy.

9 DR. KIM: That is correct.

10 DR. D'ORSI: That's what I'm having
11 a problem with.

12 DR. KIM: Right.

13 CHAIRMAN GLASSMAN: Okay. Well, if
14 this is --

15 DR. SPINDELL: If we get into that
16 discussion, the other thing we have to, you
17 know, understand is they may not be then, but
18 they're going to come, as we said before, back
19 and get another one, and they may eventually
20 have that colonoscopy. So you're just putting
21 off the inevitable or not?

22 DR. D'ORSI: Suppose they don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 come back.

2 CHAIRMAN GLASSMAN: The last -- no.
3 Dr. D'Orsi, hang in there. Last comment to
4 the last question.

5 DR. KIM: So the question is if
6 there is a seven millimeter and a bunch of
7 diminutive lesions, we would send them to
8 optical colonoscopy? If it's just several
9 diminutive lesions, the person would go into
10 normal screening which currently is five years
11 at CTC, and that is correct.

12 And the reason why there is this
13 dichotomy is because up to, or before CTC, the
14 way that screening was performed with optical
15 colonoscopy is the practice of universal
16 polypectomy or clearing the colon.

17 And so, once they leave CTC and go
18 to optical colonoscopy, it's going to that
19 sort of strategy employed by the
20 gastroenterologist. If it's just diminutive
21 lesions, we do not report them. And the main
22 reason why is because that the possibility of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the true target lesion being in that group,
2 size group or the possibility of it being a
3 tiny malignancy is so small that the risk
4 probably -- the risks are not worth it to send
5 the person to the risk of sedation. The risk
6 of perforation although small, it's possible.

7 And in the risk/benefit sort of
8 analysis, that is felt to be so small that we
9 would just not report it. And that the few
10 lesions, and remember, they are benign, they
11 are not cancer, that are important, will
12 continue to grow over time, and you should
13 capture them at the five year interval which
14 is the normal screening interval.

15 CHAIRMAN GLASSMAN: Okay. Thank
16 you. Let me try to summarize the answer to
17 question C1 for everybody. There is evidence
18 of improved sensitivity to detect polyps using
19 CAD over doing virtual colonoscopy without
20 CAD. There is some evidence that there is a
21 decrease in specificity that goes along with
22 that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And that somewhere between six and
2 10 millimeters, depending on whether you are a
3 gastroenterologist or a radiologist, you come
4 up with the critical size. CAD should be used
5 as a second read; and therefore, there will be
6 no reduced reading time evident with CAD added
7 to virtual colonoscopy.

8 And that CAD itself doesn't guide
9 optical colonoscopy. It's the virtual exam.
10 It's late in the day. The virtual exam, not
11 the CAD, that does that function. Does that
12 answer question C1 adequately?

13 MS. BROGDON: Yes, thank you.

14 CHAIRMAN GLASSMAN: Yes. Thank
15 you. Okay. Let's go on to two out of seven.

16 Establishing ground truth, whether disease is
17 present and if so, its location and extent is
18 crucial for the evaluation of the performance
19 of any CAD device. Please provide your
20 recommendations for defining ground truth for
21 colon CAD devices. And we have also touched
22 on this a little bit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Let me open this up for discussion.
2 Ground truth for CAD, Dr. Dodd?

3 DR. DODD: I think this is a
4 situation where colonoscopy, optical
5 colonoscopy can provide the gold standard. I
6 think you don't necessarily have to have a
7 segment on blinding although it is
8 recommended.

9 In the ACRIN trial, they did call
10 back patients for whom something was seen on
11 the CTC that wasn't seen on the colonoscopy.
12 They did have patients who returned. This
13 happened in a small number of patients, but in
14 order to get around the problem of optical
15 missing things, that is another strategy.

16 I would also like to say that you
17 don't necessarily have to have all of the
18 negatives undergo the optical if you are
19 trying to be efficient in how you are planning
20 your studies. So you would certainly send all
21 positives on to get the optical and some
22 proportion of negatives.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Other comments
2 about the most effective? Because I mean,
3 there were certainly some question about
4 whether optical alone with the potential 11
5 percent miss rate actually represents ground
6 truth.

7 DR. KIM: I think in terms of
8 burden, optical colonoscopy with segmental
9 unblinding would be somewhat difficult to do.

10 And I would say that you probably could get a
11 way for the positives to use optical
12 colonoscopy and then for those discordant
13 cases, CTC false negatives versus OC false --
14 sorry, CTC false positives versus OC false
15 negative, which one is right.

16 I would say that you could either
17 do a follow-up CTC, and if it's persistent, in
18 our experience, all of those have been
19 realized pretty hard for stool to hang around
20 like that.

21 For the negatives, I know that in
22 Wisconsin, that would be impossible for us to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 send negative people on to optical
2 colonoscopy. And I would say that maybe
3 either like a consensus of experts to confirm
4 that it truly is negative or maybe follow-up
5 exams when we get it, could serve as the
6 ground truth for that.

7 CHAIRMAN GLASSMAN: Any other
8 comments about ground truth?

9 DR. SWERDLOW: I'll just --

10 CHAIRMAN GLASSMAN: I would like a
11 few more comments, please.

12 DR. SWERDLOW: Well, where we are
13 were, we have lots of gastroenterology fellows
14 doing the optical colonoscopy. I think our
15 complication rate and false negative rate is
16 probably in excess of 11 percent. So we are
17 trying to segmentally unblind everybody right
18 now, but our numbers are still small.

19 But I agree, I think that's going
20 to be very burdensome for industry to actually
21 do. But they will probably have a different
22 population of gastroenterologists to deal with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than we do.

2 DR. ROSENBERG: A question?

3 CHAIRMAN GLASSMAN: Yes.

4 DR. ROSENBERG: Negatives defined
5 as no polyp over 10 millimeters, no polyp over
6 six millimeters?

7 DR. KIM: No five millimeter.

8 CHAIRMAN GLASSMAN: No polyps five
9 millimeters or less.

10 DR. LIN: I don't think that's
11 completely decided yet for the negative of
12 this. It's not clear, you know. So I think
13 the segmental -- I would agree that segmental
14 unblinding is very cumbersome although several
15 of the largest CT colonography studies have
16 used that as the gold standard. And it
17 probably is the best assessment of the colon
18 that we can have right now.

19 Other alternatives may be adequate,
20 like for example, rescanning the colon after a
21 while, following the patient, et cetera, et
22 cetera. But if you're talking about a gold

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standard, which I assume that's what you mean
2 when you say ground truth, the segmental
3 unblinding is really, I really think, the only
4 option. And you know with the understanding
5 that it is cumbersome.

6 CHAIRMAN GLASSMAN: Is it so
7 cumbersome that it would fall outside of the,
8 what's the term that you all used, least
9 burdensome?

10 DR. LIN: It's hard to say. Dr.
11 Wong has experience with that trial. Maybe he
12 can comment on that.

13 DR. WONG: Well, you know, it's a
14 difficult trial to do. But I mean, I think
15 that's -- if you want to compare and see
16 whether a polyp really exists there, that
17 segmental unblinding would be the best way to
18 look at that.

19 And actually, when you use gold
20 standard, the ultimate truth is really a
21 combination of CTC plus optical colonoscopy.
22 If you really want to know whether you've got

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a polyp in your colon or whether you are
2 missing something, they are actually
3 complementary studies.

4 The difficulty with colonoscopy is
5 that you can't see backwards. You can see
6 forward, but you can't retroflex and come all
7 the way back in the retroflex position;
8 whereas, CT colonography can see behind folds.

9 So it actually is a complementary study.

10 Again, you know if you really
11 wanted to do a perfect study, there's a study
12 that we did originally, and that's to
13 segmentally unblind. It really holds
14 everybody to the fire because you know
15 specifically whether you make a mistake or
16 not. And even then, occasionally you will
17 miss something because things hide behind
18 folds.

19 CHAIRMAN GLASSMAN: Right, but here
20 we are sitting at the FDA Panel knowing that
21 for devices sometimes the perfect test is not
22 the one that is able to be done. And the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 advice that the Agency needs from us is for
2 ground truth for evaluating CAD. What is good
3 enough? And I'm not sensing that I'm getting
4 an answer yet.

5 DR. KIM: I would say what is good
6 enough for the positives is the optical
7 colonoscopy. And really, the -- what you are
8 talking about for ground truth for the CTC
9 false positive, that is you see a polyp that
10 optical colonoscopy did not confirm, that
11 really is a very small percentage of cases.

12 Our experience in over 5,000
13 patients our OC correlation rate is over 90
14 percent. So, one in 10 of those and most of
15 those are for actually small ones. But
16 actually, it really is a small portion that we
17 are talking about. And I would say in that
18 subgroup, that it would be acceptable to have
19 either a panel or bring the person back for a
20 second CTC.

21 CHAIRMAN GLASSMAN: Is there anyone
22 who is opposed to that scheme? No?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GARRA: What's the scheme
2 again?

3 CHAIRMAN GLASSMAN: The scheme is
4 optical colonoscopy for the positive studies
5 and for the follow-up: CTC or expert panel
6 for the --

7 DR. GARRA: Discordant cases.

8 CHAIRMAN GLASSMAN: -- discordant
9 cases. Okay. That all right for everybody?
10 Brian?

11 DR. GARRA: What about negative
12 cases?

13 CHAIRMAN GLASSMAN: Okay, what
14 about negative cases? One year follow-up CTC
15 or optical. I mean, what would be -- if we
16 make it 5 year follow-up, the industry will
17 never get --

18 DR. GARRA: No.

19 CHAIRMAN GLASSMAN: -- a study.

20 DR. GARRA: We should just do both
21 studies.

22 DR. KIM: Currently, CTC is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 clinical program. There is no way we could
2 ever get patients to come back in a year if we
3 told them it was negative. So I would say
4 that if it's a negative exam, that maybe a
5 panel, I don't know.

6 DR. GARRA: How about if you told
7 them it's negative, but you might have missed
8 something? Then they would probably come
9 back. Having had several polyps removed from
10 my own colon.

11 CHAIRMAN GLASSMAN: What about, I
12 mean, an expert panel looking at the negative?
13 Is that a reasonable safeguard in terms of
14 evaluating CAD? Is it? Does anyone --

15 DR. GARRA: I think so since we are
16 not evaluating CT colonoscopy.

17 CHAIRMAN GLASSMAN: Right, right.
18 We are evaluating CAD.

19 DR. GARRA: Yes.

20 CHAIRMAN GLASSMAN: Is there anyone
21 who is opposed to that as the evaluation for
22 the negatives? Okay. Ms. Brogdon, in regards

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to C2, the panel feels that for evaluation of
2 CAD, that an optical colonoscopy for positive
3 cases and follow-up virtual colonoscopy for
4 discordant cases; and an expert panel for
5 negative cases is adequate for determining
6 ground truth. Is that acceptable or is that
7 enough information for you?

8 MS. BROGDON: That's clear. Thank
9 you.

10 CHAIRMAN GLASSMAN: Okay. She said
11 clear. I don't know. She didn't say
12 acceptable, but that's fine. Okay.

13 DR. GARRA: We could always have a
14 few anchor points and throw in a few --

15 CHAIRMAN GLASSMAN: Well, let's
16 move on.

17 DR. GARRA: -- dual cases or people
18 --

19 CHAIRMAN GLASSMAN: Okay. Yes.

20 DR. GARRA: -- done twice, because
21 that -- people will go for that. Patients
22 will accept that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: I think we have
2 got an answer for that one.

3 Let's go on to C3, please. Please
4 discuss the role of standalone performance
5 testing in clinical evaluation of colon CAD
6 devices.

7 (a) If you believe standalone testing
8 should be requested in the evaluation of these
9 devices, please provide your recommendations
10 and comments on whether certain substrata,
11 nodule size, say pathology, co-morbidity, CT
12 dose, imaging protocol, et cetera, should be
13 considered in device testing and labeling.

14 (b) If you believe that there are
15 specific situations where standalone
16 performance testing may not be important,
17 please, comment on what those might be.

18 Don't be shy. Dr. Swerdlow?

19 DR. SWERDLOW: Okay. I think here
20 some things are fairly analogous to this
21 morning. I think because we had the similar
22 higher prevalence of things, we don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 necessarily need to mess with the datasets as
2 much as we might for mammography.

3 And ultimately, I think, the key
4 number -- the key thing that we are all
5 wrestling with is size and trying to get a
6 handle on that. So I think that's probably
7 absolutely the number one thing that a CAD
8 system would be able to show, that it
9 functions at the 10 millimeter level, at such
10 a sensitivity and perhaps at the six
11 millimeter level at such another sensitivity.

12 And as far as Part B goes, I think,
13 standalone performance is important, just like
14 this morning.

15 CHAIRMAN GLASSMAN: Yes, Dr. Wong?

16 DR. WONG: Yes, again, I think what
17 you really need is to have a large repository,
18 make sure that the cases are proven cases of
19 lesions that have been found, you know, in
20 previous CTs; and that this repository ought
21 to be really kind of well-guarded so that
22 whenever the device makers come in with their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular device, there is no pre-learning of
2 the type of cases that you have.

3 CHAIRMAN GLASSMAN: Other? We need
4 more input. Yes?

5 DR. KIM: For standalone testing, I
6 think it will be really important as Ron
7 Summers pointed out that the conditions in
8 which the testing is done is known, and that
9 if the CAD is being applied to say tag cases
10 for stool and fluid tagging. What is the
11 slice collimation of the scanner?

12 I think most people agree that 2.5
13 is probably the upper limit, 2.5 millimeter.
14 1.25 is certainly acceptable. And when you
15 get below seven millimeter imaging, you really
16 don't gain that much and then dose.

17 So you want to make sure that, you
18 know, we have really pulled down the dose and
19 with two current modulation to make sure that
20 the CAD is working effectively at these doses.

21 CHAIRMAN GLASSMAN: Other comments?

22 DR. LIN: No, I would agree with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all that that has been said.

2 CHAIRMAN GLASSMAN: Anyone else,
3 any comments about question C3? Okay. Let me
4 try to summarize C3. We believe that
5 standalone testing should be requested in the
6 evaluation of these devices. That the
7 conditions of the underlying CT need to be
8 known at the time of the standalone testing
9 because it will affect the sensitivity and the
10 clinical relevance of the imaging.

11 Nobody -- in fact, let me stop for
12 a second, because we really didn't answer the
13 issue of substrata and I think we need to.
14 That's -- we missed that: nodule size, shape,
15 pathology, co-morbidities. I mean, we talked
16 about CT dose and imaging protocol.

17 But what about for the standalone?
18 Should there be varying size polyps? I mean,
19 I don't --

20 DR. KIM: Yes, I think size is the
21 main thing here. All of the other descriptors
22 really do not correlate well in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 representing the precursor target that we are
2 looking for, and size is the one thing that we
3 can look at from a CAD perspective, from CTC
4 perspective that does correlate well knowing
5 that large size, there can be more of the true
6 target lesions in this group.

7 CHAIRMAN GLASSMAN: What sizes
8 should we include in this? Six millimeters
9 and above?

10 DR. KIM: I think that's
11 reasonable.

12 CHAIRMAN GLASSMAN: Okay, so to
13 come back to my summary here. Standalone
14 testing should be requested. Polyps six
15 millimeters in size or greater should be
16 included. The CT protocol and imaging
17 protocol and CT dose is important to know that
18 the images were obtained at clinically
19 relevant situations, so that CAD will then be
20 a valuable -- evaluatable in a clinically
21 relevant way.

22 And that we think that standalone

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 testing is important, and therefore, we did
2 not come up with any times when we wouldn't do
3 it. Does that reflect our opinion, Dr.
4 D'Orsi?

5 DR. D'ORSI: Can I just get an
6 opinion on location, if that's helpful to you?

7 Should that be in standalone testing or is
8 that not helpful if you're going to go to
9 optical colonography?

10 DR. KIM: I guess I'm not quite
11 certain in terms of the ability to detect a
12 polyp in a given location. Is that the --

13 DR. D'ORSI: Yes. In other words,
14 if you have two or three separated by maybe
15 eight or 10 centimeters, does it help you to
16 know fairly precisely, not exactly, where they
17 might be so you spend extra attention looking
18 for that or is that useless?

19 DR. KIM: Usually, you know there
20 are discrete polyps, and so it's not an issue
21 of being in the general area. You know you
22 see a polyp in the sigmoid, or you see a polyp

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the rectum, or you see a polyp in the
2 cecum. I guess I'm not understanding.

3 CHAIRMAN GLASSMAN: I think we are
4 confusing maybe CAD with colonography here.
5 We need to be careful we're talking about CAD.
6 Dr. Rosenberg?

7 DR. ROSENBERG: In reference to co-
8 morbidities, are there any that would affect
9 CAD, diverticular disease, or any other common
10 problems that should be included or excluded?

11 DR. KIM: I think diverticular
12 disease probably would affect CAD as well as
13 CT colonography. Often the sigmoid is
14 involved, and you get this sort of mycosis or
15 mild diffuse thickening where you can never
16 really distend the sigmoid. So I think CAD
17 would not perform as well there. So perhaps
18 that would be one area.

19 CHAIRMAN GLASSMAN: What about the
20 others? Dr. Wong?

21 DR. WONG: I think it's also
22 reasonable, because you want the CAD to be as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 good as your virtual colonoscopy. At least
2 you want to have it identify those lesions.
3 So geographically, you know there are
4 difficult -- just like colonoscopy. I'm sure
5 that the flexures, different areas where the
6 colon bends, that it's more difficult to
7 identify polyps in those areas so certainly
8 those difficult cases ought to be added into
9 this test bracket.

10 DR. ROSENBERG: So that location
11 would be a --

12 DR. WONG: I think location would
13 be important, yes.

14 CHAIRMAN GLASSMAN: What about the
15 co-morbidity of diverticulosis?

16 DR. KIM: I would think so.

17 DR. WONG: I would agree.

18 DR. LIN: That might possibly be a
19 factor affecting the accuracy of colonography
20 and perhaps CAD as well, in addition to that.

21 So I mean, I think what they are trying to
22 get at here is it's almost like stress

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 testing. I mean these are potential
2 situations where the accuracy of colonography
3 is going to be affected whether it is because
4 of a polyp located in a certain area of the
5 colon that's traditionally difficult for
6 virtual colonoscopy or whether it is polyps of
7 a certain shape like very flat polyps.

8 So maybe that's what they are
9 getting at and --

10 CHAIRMAN GLASSMAN: Well, I think
11 what they want is what we are getting at,
12 rather than what they are getting at. So what
13 I have got since we've started the summary
14 here is, in addition, polyps in different
15 locations and at least one co-morbidity of
16 diverticulosis.

17 And, you know, what about polyps of
18 different shapes? Flat polyps, pedunculated
19 polyps?

20 DR. KIM: You know, I would say
21 that flat polyps are the one -- you know, are
22 going to be the polyp that would be more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 difficult for CAD and for CT colonography.
2 Again, if you are looking at sphericity or how
3 rounded a lesion is for your CAD to find that,
4 and so you know, certainly, I think that a
5 flat polyp would be harder to see. And
6 perhaps we should try to stratify that.

7 One thing, before I forget, is with
8 the standalone testing, I think, it's also
9 important to kind of get the demographic
10 populations of their training set
11 characteristics so that you know that you are
12 sort of comparing equivalent -- so if you see
13 a performance that your -- between different
14 CAD systems that you can sort of see how they
15 are doing across, if the results truly
16 translate or not.

17 Because I think if the training
18 sets are markedly different, a CAD may look
19 like it's doing better, but when you apply to
20 a low prevalence situation, it may not be the
21 case.

22 CHAIRMAN GLASSMAN: So we seem to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be talking now about certainly an enriched
2 dataset and possibly a stress dataset for our
3 statisticians. Is that any -- are there
4 unique problems here that we need to be aware
5 of that are different from the ones we
6 discussed this morning or are they the same?

7 DR. DODD: I think they are the
8 same. So I think we have to be careful with
9 generalizing. Again though, here we are
10 talking about standalone testing where I think
11 we want to categorize the performance with
12 specific categories.

13 So I'm okay with that. When we
14 move to reader studies, we want to talk about
15 the average reader performance, and that's
16 where stress testing to me seems not as
17 desirable.

18 CHAIRMAN GLASSMAN: Okay. Let me
19 try the third time for the answer to this one,
20 the summary. Let's see if I can do any
21 better.

22 Okay. Standalone testing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important. Polyp size, minimum six
2 millimeters and larger. The CT dose and
3 imaging protocol needs to be known to make
4 sure that it's clinically relevant. We want
5 to enhance the set with polyps of varying
6 locations, including the flexures which may be
7 more difficult to find.

8 We want to enhance with flat polyps
9 that we know are more difficult to find. And
10 we want to know the demographics of the test
11 set to make sure that it is clinically
12 appropriate with the usual patient population.

13 And that we believe that standalone testing
14 is important in all instances.

15 Do I have it right? Dr. Rosenberg?

16 One more time.

17 DR. ROSENBERG: I'm sorry. Co-
18 morbidities, diverticular?

19 CHAIRMAN GLASSMAN: Thank you,
20 diverticulosis. I got lost in my own
21 handwriting. And patients with
22 diverticulosis. Ms. Brogdon, is that an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 adequate response to the question?

2 MS. BROGDON: Yes, thank you.

3 CHAIRMAN GLASSMAN: Thank you.

4 Okay. C4. Please discuss the role of reader
5 performance testing in the clinical evaluation
6 of colon CAD devices.

7 (a) Do you think it should be
8 considered in the evaluation and, therefore:

9 (i) What are the appropriate
10 primary endpoints in corresponding clinically
11 significant effect sizes? Comment on ROC
12 analyses;

13 (ii) The merits of per lesion, per
14 segment and/or per patient endpoints in the
15 assessment of endpoints;

16 (iii) And whether reading time
17 should be assessed, and if it, how?

18 (b) If you believe that there are
19 specific situations where reader performance
20 testing may not be necessary, please comment
21 on what those might be.

22 Who would like to begin the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussion on reader testing, given what we
2 have said about standalone? Dr. Lin, any
3 ideas?

4 DR. LIN: I think that's similar to
5 the situation with mammography CAD, any
6 improvement in the sensitivity or specificity.

7 And I think I talked a little bit about my
8 opinions on ROC curve analysis. You know, I
9 use it all the time usually in situations like
10 alpha fetoprotein you know, in trying to
11 determine cut-off level.

12 And I think this is a little bit
13 different. Even though we're able to score,
14 we're able to come up with a score, but as I
15 said, essentially the decision is really
16 whether or not to refer the patient for
17 colonoscopy. There is really -- it's really
18 sort of a binary kind of -- I mean, there may
19 be a third option where we put them into some
20 sort of surveillance program with CT
21 colonography.

22 But there aren't that many points.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There aren't going to be that many points on
2 ROC curve. You know if you look at the C-RADS
3 rating scale, most of these categories will
4 lead to colonoscopy. There is only a few
5 where there might be some question. So that's
6 my thought on the ROC curve question.

7 We talked a little bit about
8 stratification by polyp size, shape and
9 location. And that seems to be a good idea.

10 And with regard to reading time,
11 just like with mammography CAD, that should be
12 assessed as well.

13 CHAIRMAN GLASSMAN: What about per
14 lesion, per segment, and/or per patient?

15 DR. LIN: Well, I think the most
16 important endpoint is going to be per patient
17 because that determines what you do with the
18 patient. You know you're going to -- if you
19 find one polyp, you're going to send them --
20 one large polyp, you're going to send them for
21 a colonoscopy. If you find two polyps, two
22 large polyps, you're still going to send them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for colonoscopy.

2 The other endpoints by polyp, by
3 lesion, et cetera, et cetera, might be helpful
4 in terms of guiding the optical colonoscopy.

5 CHAIRMAN GLASSMAN: Let me ask you
6 a question about that because if we were -- I
7 would agree with you about per patient, if we
8 were evaluating virtual colonoscopy as a test.

9 But when we are evaluating a
10 technique that is supposed to simply find
11 lesions, isn't necessarily per lesion analysis
12 actually more important for evaluating CAD, as
13 opposed to evaluating optical colonoscopy
14 versus virtual colonoscopy?

15 DR. LIN: I think that's a good
16 point. I think I might have to agree with
17 that in that particular situation, you know.

18 CHAIRMAN GLASSMAN: Other comments,
19 please, about C4? Okay.

20 DR. LEITCH: I think it's still
21 again in screening a mass population, the
22 question of if CAD improves the sensitivity of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 screening in that population so that's why I
2 think the per patient thing makes sense when
3 you are -- you know, when you start talking
4 about the application of a screening tool and
5 a large population.

6 That's why the time thing starts to
7 -- you know, that's -- I mean, to me, this is
8 a circumstance. We're trying to get a
9 technique to, you know, replace the reader.
10 You know it's kind of the goal of this one, in
11 a sense. But I think these time factors have
12 to be, you know, taken into consideration.
13 And how you can impact the overall process of
14 screening for the population?

15 CHAIRMAN GLASSMAN: Dr. Swerdlow?

16 DR. SWERDLOW: I think the time
17 factor is certainly very important, but it
18 doesn't necessarily -- it's certainly not
19 going to affect a particular CAD system
20 sensitivity. And ultimately, when somebody is
21 trying to decide whether to buy one or not or
22 to choose between two different systems, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you have two that function equally well and
2 one is slow and cumbersome and one is faster
3 and easier to use; the market forces are going
4 to determine which one to use.

5 And I think, you know, the
6 manufacturer is going to take that into
7 consideration as they design the product. I
8 don't know that it is such a key for the
9 industry to present their data in terms of
10 time. It's more useful as an academic pursuit
11 to us.

12 CHAIRMAN GLASSMAN: What about per
13 lesion, per patient, per segment?

14 DR. SWERDLOW: I don't really see
15 how per segment fits in very well. I tend to
16 agree, I think, per patient is the endpoint
17 because you very quickly move from, you know,
18 there's nothing to colonoscopy, optical
19 colonoscopy. The question then falls back to
20 threshold. Is the threshold 10 millimeters or
21 six millimeters?

22 If it's a six millimeter, we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 only going to find one or two polyps that
2 we're going to follow. Well, then we need to
3 start talking about lesion sensitivity
4 clearly.

5 CHAIRMAN GLASSMAN: And ROC curves,
6 any opinion?

7 DR. SWERDLOW: Nothing to add
8 there.

9 CHAIRMAN GLASSMAN: Okay, Dr.
10 Garra?

11 DR. GARRA: Of course, I have
12 something to add there. I don't think the C-
13 RADS scale would necessarily be appropriate
14 for ROC analysis. I can't read the slide that
15 well so it's shrunk down a little too much.
16 But the simple way to do that would be to just
17 rate your confidence like on a 100 point
18 scale.

19 Are you sure this is a polyp or
20 not? And then we could have the person record
21 their size and that would be -- give you all
22 the information you need to generate a robust

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ROC curve assuming that the people were
2 trained to know that they need to use the
3 entire scale, not a portion of the scale.

4 The other thing was, though, per
5 lesion versus the entire colon. It doesn't
6 really cost you anything to gather that extra
7 information. You know, have them record the
8 location and the segment, and you have that
9 information to help you analyze what's going
10 on if your performance is not what you expect
11 it to be.

12 So I would say by all means do do
13 per lesion, per segment as well because you
14 can gather that for free almost.

15 CHAIRMAN GLASSMAN: But in terms of
16 the study design for endpoints, you think per
17 patient, if I'm quoting you correctly?

18 DR. GARRA: I'm sort of on the
19 fence. I think I'm tending a little bit to be
20 a little bit more like you that I would prefer
21 per segment or per lesion, but -- rather than
22 per patient.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Okay.

2 DR. TOURASSI: Well, I would echo
3 that. When you do a reader observer study,
4 you ask them to mark every lesion, every
5 polyp, every segment, this is a time consuming
6 study. It's very different than saying per
7 patient. What are you going to do if I tell
8 you -- if I mark certain polyps?

9 So for the observer study, to keep
10 it feasible and to be able to collect the
11 data, I think we need to look at is this tool
12 going to change patient management? And
13 patient management is what are you going to do
14 with this patient now that CAD has marked for
15 you so many polyps?

16 Does it really make any difference
17 if the system marks three versus five versus
18 10 of the little small ones if, in the end,
19 patient management remains the same?

20 DR. GARRA: That's an interesting
21 question. I think when you want to evaluate
22 the system, that's what you have to do. You

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have to determine if it's marking 20 lesions,
2 that's probably too many. And you -- but you
3 have to determine your confidence on the
4 lesions that are marked.

5 And it is time-consuming and you
6 wouldn't want to do it in regular clinical
7 practice, but this wouldn't be clinical
8 practice. It would be a clinical trial so I
9 would do it.

10 DR. TOURASSI: But the per lesion
11 analysis will still be part of the standalone
12 performance. We're talking about the reader
13 observer study.

14 DR. GARRA: Yes. Well, I think we
15 are talking -- yes, if we're going to do an
16 observer study, then I think the observer
17 needs to give their confidence level for their
18 lesion since they are identifying the lesion
19 anyway. I don't quite understand how this is
20 going to change anything, unless you want them
21 just to say yes, it's abnormal or no, it's not
22 abnormal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 That would be simplifying, but it
2 wouldn't give you that much information. If
3 something wasn't working properly, it wouldn't
4 tell you anything about what was going wrong.

5 DR. TOURASSI: I'm confused now.

6 CHAIRMAN GLASSMAN: You're not
7 alone.

8 DR. GARRA: Maybe I wasn't
9 answering the right question, but I don't -- I
10 didn't foresee that people are going to have
11 20 or 30 lesions that they are going to be
12 uncertain about in one of these typical
13 studies. So that's part of the question I
14 didn't understand.

15 I don't think it would be that
16 burdensome for somebody who knows they are
17 undertaking a study to evaluate the
18 performance of a CAD product to actually look
19 at all the marks and determine what they are.

20 CHAIRMAN GLASSMAN: Again, remember
21 we are talking about a product who is -- whose
22 endpoint is the detection of a polyp, not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 optical colonoscopy. And so I'm a little bit
2 concerned obviously about the per patient, but
3 you know it's a group decision.

4 And by the way, this -- we're going
5 to quit for the day after this question.
6 We're losing some panel members, and so I
7 think we will pick up tomorrow morning with C5
8 after the Closed Session. We are reasonably
9 close to being done, I hope.

10 But let's continue on with this
11 discussion, because this is a very important
12 question that we need to deal with.

13 DR. GARRA: Yes, I think if you are
14 doing an observer study and you are looking at
15 lesions, it's not overall outcome that you are
16 interested in so much as the performance of
17 the actual CAD system. And I think then,
18 that's what you need to do. You need to look
19 at what it's marking.

20 And people are going to be doing
21 that anyway so they are just going to be not
22 saying anything about the ones that they think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are below their level of threshold.

2 DR. TOURASSI: But we're talking
3 about the study that will compare the
4 performance of radiologists without and with
5 the CAD system. So I still can't see what's
6 the value of having a radiologist agree with
7 the CAD, that indeed, there are three or five,
8 whatever, small lesions if in the end, the
9 system, the device is not going to make any
10 difference in terms of clinical outcome for
11 the patient, patient management.

12 DR. GARRA: Well, I'm not sure in
13 the cases where the radiologist agrees. It's
14 not a matter of agreeing or disagreeing. He
15 is taking -- the radiologist is taking the
16 information and deciding whether they think
17 there is a polyp really there or not, okay?

18 And the CAD system is marking
19 things that might be. So I think the
20 radiologist is still responsible for making
21 that decision. And isn't that what they would
22 do in a real clinical practice anyway, look at

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 each of those and decide?

2 So in this case, we are just simply
3 asking them to record that instead of just
4 mentally taking note and not reporting the
5 ones that they don't think are important.

6 DR. LIN: I think in clinical
7 practice, the radiologist doesn't give a score
8 as to how confident they are that there is a
9 polyp there. So in order to do the ROC
10 analysis, it sounds like they will have to
11 come up with that kind of score. And it's not
12 clear to me what kind of advantage between the
13 ROC analysis.

14 DR. GARRA: That's the fundamentals
15 of ROC analysis is that you have to do a
16 reading which most people don't. They do a
17 binary decision. But that's the difference
18 between doing an ROC study and doing a
19 sensitivity/specificity study. Is that, you
20 know, in a sensitivity/specificity, you have
21 an unknown decision threshold, and you're
22 making a binary decision.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In an ROC study you are forced to
2 space them out on a plot, and that allows you
3 to get that extra information about where your
4 decision threshold is.

5 DR. LIN: Okay.

6 CHAIRMAN GLASSMAN: Any other
7 comments? Yes?

8 DR. SPINDELL: So again, like many
9 of you, I'm still a little confused because I
10 don't know if the purpose of CAD is to detect
11 lesions. Why wouldn't it be a per lesion
12 study? And I understand that one patient may
13 have three or four, but in the study, in the
14 clinical study to prove efficacy, you're
15 really looking at polyp types.

16 And some patient may have five
17 polyps. One polyp may be a very difficult
18 polyp to see for radiologists that CAD should
19 or hopefully will pick up. And all that you
20 are basing it on is that they found a regular
21 two centimeter polyp and missed this very
22 difficult six centimeter polyp. You're not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going to get an understanding for how -- what
2 the clinical benefit of CAD is and what the
3 true benefit is just because that one patient,
4 who happened to have the hard one, also had a
5 real easy one.

6 CHAIRMAN GLASSMAN: Dr. Wong?

7 DR. WONG: I think that, you know,
8 when we are trying to evaluate the CAD system,
9 I think it would be very important that we try
10 to get as much information as we can. So I
11 think not -- just getting the large sized
12 polyp and saying well, ultimately, that is
13 what we're going to do clinically. I think we
14 are really missing the point here.

15 I think we need to get per polyp,
16 per lesion. It gives us a real good idea of
17 how good this CAD system is. So I personally
18 would feel that at this stage, as we begin to
19 look and regulate what we're going to be
20 looking at, we need that information.

21 CHAIRMAN GLASSMAN: Is there a
22 general -- okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. CARRINO: I was just going to
2 say I think the analysis should be per lesion
3 and inherent to that is the location or the
4 segment. So I would just reinforce that.

5 CHAIRMAN GLASSMAN: Dr. D'Orsi?

6 DR. D'ORSI: Yes, I think -- first
7 of all, I don't think the clinical workup of
8 the patient is the same from what I have
9 heard. If you have -- for example, if you
10 don't do per lesion, then you -- somebody sees
11 three or four five millimeters polyps and
12 there is one three millimeter -- three
13 centimeter polyp hidden in a flexure that is
14 not seen, that patient will not go to
15 colonoscopy.

16 So there is a possibility that you
17 are going to not see something and not deal
18 with it the same way. If somebody said to me
19 every polyp I see I'm doing a colonoscopy and
20 I'm going to take them out, then I would agree
21 with that. But it doesn't seem to be that way
22 from what I'm hearing. So I think a per

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lesion, even with the observer, is important.

2 CHAIRMAN GLASSMAN: Dr. D'Orsi?

3 DR. D'ORSI: I think I would agree
4 with that. I think per lesion, we've had this
5 discussion, is probably --

6 DR. LIN: So I think --

7 DR. SAHINER: If you are talking
8 about per lesion and by location, then I think
9 we are talking about FROC and not ROC. Am I
10 correct?

11 CHAIRMAN GLASSMAN: I need help
12 here.

13 DR. GARRA: I can -- let me address
14 that.

15 DR. SAHINER: Craig raised --

16 CHAIRMAN GLASSMAN: Let's let Dr.
17 Dodd, first.

18 DR. SAHINER: Oh, sorry.

19 DR. DODD: Yes, the answer is yes.

20 DR. GARRA: However, you can take
21 the -- if you do it per lesion, you can
22 convert that into true ROC, because you'll

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also know the segment and then you can go per
2 segment and then you are into zones. So you
3 can convert it fairly readily.

4 DR. DODD: When you are looking at
5 per segment. Not when you are looking at per
6 lesion.

7 DR. GARRA: Right. You lose
8 precision on your location, but you gain in
9 having a more attractable ROC curve.

10 CHAIRMAN GLASSMAN: Are -- have we
11 come to a consensus here? I'm seeing nodding.
12 Let me try unofficially to come up with a
13 statement, and you all can respond to it. I'm
14 not going to make the same mistake I did with
15 the last question and leap right into the
16 final and go through it four times.

17 Okay, we believe that reader
18 performance testing should be done.
19 Clinically effective sizes are six millimeters
20 and greater. ROC analysis is appropriate, as
21 is FROC analysis, but either one. And that
22 the general consensus was that the endpoint

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should be per lesion, rather than per segment
2 and per patient, knowing however, that if we
3 choose the ROC analysis, it would be converted
4 to a per segment analysis.

5 And reading time should be
6 assessed. And now, the last part, do we
7 believe there are situations? Again, we may
8 be talking about algorithm changes or
9 something where performance testing, reader
10 performance testing may not be necessary.

11 Part B, what do we think? The last
12 thing for the afternoon, what do we think
13 about that? Minor changes in algorithm, that
14 standalone testing would be adequate, but for
15 major changes -- similar to what we thought
16 about this morning.

17 DR. GARRA: Yes.

18 DR. WONG: Yes.

19 CHAIRMAN GLASSMAN: Okay.

20 DR. CARRINO: Similar to this
21 morning, but we still have to decide what
22 minor would be.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN GLASSMAN: Yes, that's
2 what the FDA is going to do for us, I hope.

3 MS. BROGDON, I hope you were taking
4 notes.

5 MS. BROGDON: I was. I would just
6 like for you to say for the record that you
7 believe your recommendations on Part A are
8 least burdensome.

9 CHAIRMAN GLASSMAN: If I say it,
10 will anybody disagree with me? We think it's
11 least burdensome. Is that -- yes? Is that
12 adequate for this question?

13 MS. BROGDON: Thank you.

14 CHAIRMAN GLASSMAN: Ah, thank you
15 all very much for hanging around. I know we
16 went over, and we will pick up tomorrow
17 morning. We have a closed session at 8:00
18 that is supposed to end at 8:30, and we will
19 pick up with C5 in the morning and try to stay
20 on track better tomorrow.

21 I apologize to those of you who are
22 going to miss your dinners. Thank you.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 (Whereupon, the meeting was
2 concluded at 5:58 p.m.)
3

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701