

1 diffusely light kidneys, and the histologically tubular
2 degeneration necrosis, increased tubular casts, and
3 tubular dilatation, cortical tubular vacuolation, and the
4 increased interstitial inflammatory cell infiltration.
5 The severity in the instance of this positive findings
6 increased -- with increasing doses. And many of these
7 findings persisted throughout the 28th day recovery
8 period. Although different levels of reversibility were
9 noted.

10 All these findings were noted, either doses of
11 50 milligram per kilo or higher doses. And the 50
12 milligram per kilo equals to human dose of 8 milligram
13 per kilo. That's below the 10 milligram per kilo
14 clinical recommended dose.

15 In the 30-week dog study. Renal toxicity was
16 evidenced by increased serum BUN on the creatinine
17 levels. And they increased the urinary volume. And
18 histologically tubular vacuolation, dilatation, and the
19 necrosis. All these findings were noted, either 25
20 milligram per kilo in the higher doses, and the rats
21 equals to human dose starting upon 5 milligram per kilo.

22 This table just compares the animal and the

1 plasma exposure to the drug -- to animals and the
2 humans. This animal dose listed here, renal toxicity
3 dose. Out of these doses, possible renal toxicity
4 findings were noted.

5 Based on the plasma AUC data listed here, we
6 can find there's no safety margin between the animal and
7 the human dose. That's all my presentation. Thank you.

8 DR. FEIBUS: Good morning. My name is Karen
9 Feibus. I'm the medical team leader on the Maternal
10 Health Team in the Office of New Drugs. And my -- this
11 is not working. Oh, thank you.

12 This morning my goals are for us to review the
13 role of the Maternal Health Team and its involvement in
14 the Telavancin NDA, to summarize the reproductive
15 toxicology study findings that Dr. Chen just presented,
16 to discuss pregnancy labeling and pregnancy category
17 definitions, and discuss potential Telavancin use during
18 pregnancy, with regards to the risks and the populations
19 at risks, the possible benefits, alternative therapies
20 and their relative risks and benefits, the appropriate
21 pregnancy category, and fetal exposure risk management
22 issues.

1 The Maternal Health Team is a consult team
2 that's located in the immediate office of the Office of
3 New Drugs. And our job is to respond to consult requests
4 from drug review divisions. And these consult requests
5 may be with regards to pregnancy and nursing mother's
6 sections of labeling, pregnancy exposure registries, risk
7 evaluation and mitigation strategies, and pregnancy
8 prevention programs, as well as evaluating emerging data
9 on possible drug associated adverse pregnancy outcomes,
10 and effects in nursing infants.

11 We support and promote well-informed
12 prescribing and use of medicines during pregnancy. As
13 Dr. Scialli pointed out, pregnant women get sick and need
14 medicines. We work to improve the clinical utility and
15 relevance of the pregnancy and nursing mother's sections
16 of labeling, and the label should be a helpful clinical
17 tool. That is what it's there to do.

18 We also work on data awareness, the healthcare
19 practitioners and other users of drugs, to know what we
20 do know about the use of medicines during pregnancy and
21 lactation, what we don't know, and what we still need to
22 find out.

1 So, in brief, Dr. Chen presented the
2 reproductive toxicology results with Telavancin in rats,
3 rabbits, and mini pigs. And in rats there were rare, but
4 there were findings of brachymelia and syndactyly. In
5 rabbits there were flexed front paws, brachymelia,
6 adactyly, and an incidence of absent ulna. And in mini
7 pigs there was syndactyly, polydactyly, radial agenesis
8 and an incidence of bilateral absence of the tarsal
9 bones.

10 And across these three species there were
11 findings of limb anomalies and offspring with Telavancin
12 exposure in-utero. And these anomalies occurred at low
13 incidences, but still at higher frequencies in treated
14 animals than in historical controls.

15 There was some additional findings as well.
16 In the rabbits there was a drug-related incidence in
17 post-implantation loss, and a statistically significant
18 increase in dilated lateral ventricles and missing
19 intermediate lung lobes.

20 In rats there was a dose-related increase in
21 stillborn pups, and the number of dams with stillborn
22 pups. And the mini pigs had increased late resorptions

1 compared to historical controls. And a 100 percent
2 increase in post-implantation loss in the high dose
3 group, compared to placebo and the diluent group.

4 So, the Maternal Health Team was consulted to
5 review the study data and make recommendations regarding
6 the pregnancy category for this product, the labeling
7 language, and the potential need for a pregnancy
8 registry. And the current pregnancy categories for
9 teratogenicity are categories A, B, C, D and X. And I
10 want to take a moment to talk about these categories and
11 their definitions.

12 Although it is often misinterpreted, this is
13 not a grading system from better to worse like the grades
14 we get in school. The categories each have a specific
15 definition that is based on the source of the data,
16 whether it's animal or human; the study findings, whether
17 they're positive or negative; and the relative clinical
18 benefit versus fetal risk for the drug when it's used in
19 the clinical setting.

20 Categories C, D and X all require
21 consideration of both the benefit to the mother and
22 potentially to the fetus, as well as the fetal risk with

1 drug exposure.

2 This slide is pretty close to exactly the
3 regulatory language defining each of these categories.
4 And you can use this slide as a reference as we continue
5 our discussion over the next couple of slides.

6 So, we tried to take a look at Telavancin from
7 a risk benefit perspective. We have what looks like
8 teratogenicity in three species of animal, with findings
9 that are similar across all three species. We also see
10 increased pregnancy loss or stillbirth in all three
11 species of animal.

12 Animal teratogenicity does not always
13 correlate with human teratogenicity, but it is more
14 likely when teratogenicity is multispecies, and it's also
15 more likely when the exposure in animals is close to what
16 the human exposure is expected to be in the clinical
17 setting. We have no human data in pregnancy for this
18 product.

19 So, here are our category options. Which ones
20 are viable here? Category B is not appropriate, because
21 Category B requires that animal studies are negative, and
22 in this case animal studies are not negative.

1 Categories A and D are not appropriate for
2 Telavancin, because both of these categories require
3 human data. Category A requires adequate and well-
4 controlled human data that are negative. And Category D
5 requires human data that are positive. So, the category
6 options we are left with for Telavancin are Category C
7 and Category X based on their definitions. And this
8 slide sort of summarizes or paraphrases the elements that
9 go into these categories.

10 For Category C, you need to have animal
11 reproduction studies that have shown an adverse effect on
12 the fetus, and no adequate and well-controlled studies in
13 humans, and the benefits from the use of the drug in
14 pregnant women may be acceptable, despite the potential
15 risks.

16 For Category X, studies in animals or humans
17 have demonstrated fetal abnormalities, or there is
18 positive evidence of fetal risk based on adverse reaction
19 reports from investigation or marketing experience --
20 which we don't have in this case, because that would be
21 human data -- and the risk of the use of the drug in a
22 pregnant woman clearly outweighs any possible benefit.

1 For example, safer drugs or other forms of
2 therapy are available. And that's the catch for this
3 product. Because, clearly, if you have a pregnant woman
4 with a complicated skin and skin structure infection,
5 there's benefit in treating her effectively. But in
6 situations where there are safer drugs available that are
7 equally effective, that is a reason listed in the
8 regulations for labeling a drug as a Category X, if it
9 meets the other criteria.

10 So, what do we have in this situation, with
11 regards to relative risk and benefit? We do have more
12 than eight anti-infective drugs that are FDA approved for
13 the treatment of complicated skin and skin structure
14 infections.

15 With regards to relative risks, Telavancin
16 appears to be a highly suspected human teratogen based on
17 animal reproductive and developmental toxicity study
18 data.

19 So, what would be the ways to demonstrate
20 relative benefit that could over -- that could
21 potentially override this relative risk and take
22 priority? Telavancin could show superior efficacy. Now,

1 based on the noninferiority trial data, we know that
2 Telavancin is not inferior to Vancomycin. We know that
3 in the superior -- in the superiority comparison it was
4 not shown to be superior.

5 In addition, we know that -- I'm sorry, in
6 addition, Telavancin could offer a better safety profile
7 than other therapies as a way to demonstrate a better
8 risk benefit profile compared to other available
9 therapies. So, let's take a look at those other
10 therapies.

11 This slide shows some of these alternative
12 therapies that are already approved by FDA for the
13 treatment of this indication. The first four that are
14 listed have negative animal studies in two species, and
15 no human data, and are listed as a Category B for
16 pregnancy category.

17 Now, the four antibiotics that are listed on
18 this slide that are in yellow actually are approved for
19 treatment of MRSA.

20 The second set of three approved agents that
21 are listed have shown increased fetal loss in two species
22 of animal, but no structural malformations, and no human

1 data are available. And these drugs are listed either as
2 a Category C or D, but I wanted to note that Tigecycline,
3 which carries a Category D, actually has no human data.
4 And, so, technically, according to the definition, should
5 probably be a C.

6 And then we have Vancomycin. Vancomycin has
7 no data in the label. It has no animal data, and for
8 that reason it is a Category C. Now, my search was not
9 as good as Dr. Scialli's, I only found one negative
10 animal study in Vancomycin and I have not seen the other
11 studies that he presented here to you today.

12 So, the information we have to support a
13 pregnancy Category X for Telavancin, are that for the
14 current indication, Telavancin does not appear to offer
15 clinical benefit above and beyond that offered by
16 Vancomycin. There are teratogenic findings in three
17 animals species, all with limb anomalies and increased
18 pregnancy or fetal loss. And this makes Telavancin a
19 highly suspected human teratogen.

20 Based on available data, the eight FDA
21 approved treatments for complicated skin and skin
22 structure infections appear to have a lower teratogenic

1 risk.

2 And, so, based on this information, it appears
3 that Telavancin does not offer enough clinical benefit to
4 justify the additional fetal risk for this indication.
5 However, there are some things we don't know. And, so,
6 we should look at Pregnancy Category C as well, and what
7 the arguments for and against this category might be.

8 The arguments for Category C are what is
9 unknown. The relative efficacy of Telavancin compared to
10 other anti-infectives currently approved for the
11 treatment of complicated skin and skin structure
12 infections, other than Vancomycin, is not known. And the
13 potential clinical benefit may be there. Under the IND,
14 there were isolated patients treated successfully with
15 Telavancin who failed therapy with Vancomycin. This is a
16 small number and this was not in pregnant women, but it's
17 something to consider. The arguments against Pregnancy
18 Category C are that healthcare practitioners may not see
19 the risk for Telavancin as different from other Category
20 C drugs that are indicated for complicated skin and skin
21 structure infections. And this would likely increase the
22 likelihood of fetal exposures to a suspected human

1 teratogen.

2 So, I'd like to take a moment to think about
3 Telavancin and managing risk. What's a -- what is our
4 goal? Who are we worried about? And hopefully this
5 information will be helpful as the Advisory Committee
6 members take this into consideration.

7 Our goal is to prevent all, or at least
8 unintended, fetal exposures to Telavancin. We are
9 worried about women of childbearing potential. Based on
10 the 2006 US Census data, there are more than 42 million
11 women between the ages of 15 and 44 years in this
12 country. And about 10 percent of them get pregnant every
13 year. That is more than 6 million pregnancies each year
14 in this country, and 50 percent of them are unplanned.

15 Women of childbearing potential get
16 infections. They need treatment with anti-infective
17 agents. And, so, we need to recognize that prescribing
18 is a possibility for this drug.

19 So, what do we need to keep in mind with
20 regards to risk management strategies? How Telavancin is
21 labeled for use during pregnancy should determine the
22 type of postmarketing data required on fetal exposures.

1 If Telavancin uses contraindicated during pregnancy,
2 meaning that it carries a Category X, then fetal exposure
3 should be tracked as part of a risk management program.

4 If the benefits of Telavancin use in pregnancy
5 are considered to outweigh the fetal risks in certain
6 clinical situations, and the drug carries a Category C,
7 then the sponsor should have a postmarketing requirement
8 to conduct a pregnancy registry. A pregnancy registry is
9 a prospective cohort study that enrolls pregnant women
10 exposed to drug before pregnancy outcomes are known.

11 Here's some additional points to consider.
12 This is an IV drug. Its indication for use requires
13 timely initiation of therapy. And the potential settings
14 for use include hospitals, chronic care facilities,
15 physician offices, and homes, either with instruction or
16 home care assistance.

17 Avoiding fetal exposure to a highly suspected
18 human teratogen is very important. For all women of
19 childbearing potential, same day documentation of a
20 negative serum pregnancy test should be required prior to
21 starting Telavancin treatment. One pregnancy test will
22 not detect pregnancies within a few days of conception,

1 and this should be recognized. But the acute need for
2 therapy really eliminates the ability to require two
3 negative pregnancy tests over an interval of time, or the
4 use of highly effective contraception for a month prior
5 to treatment initiation, as is seen in some other risk
6 management programs with teratogens or suspected
7 teratogens.

8 So, here are a couple of additional
9 questions. Will healthcare practitioners check for a
10 negative pregnancy test prior to Telavancin
11 administration or will they just order it? Should there
12 be a role for the dispensing pharmacy in checking for
13 that negative pregnancy test? For therapy in the
14 outpatient setting, should there be a contraceptive
15 requirement, and how can this requirement be defined to
16 suit Telavancin-treatment scenarios so that women can get
17 the treatment they need and still be protected?

18 This concludes my presentation. And I would
19 like to introduce Dr. Susan Berkman. She is a senior
20 risk management and analyst in the Division of Risk
21 Management in the Office of Surveillance and Epidemiology
22 in the Center for Drug Evaluation and Research. And

Capital Reporting Company

Page 115

1 she's going to talk to you in greater detail about the
2 risk management considerations for Telavancin. Thank you
3 for your time.

4 DR. BERKMAN: Good morning. And
5 congratulations to making it to about mile ten in this
6 marathon day for you all.

7 As Dr. Feibus just said, I'm Susan Berkman, a
8 risk management analyst with the Office of Surveillance
9 and Epidemiology. I've worked with several risk
10 management programs for teratogenic drugs, and I was
11 consulted by the review division to take into
12 consideration the issues with Telavancin and provide to
13 you today -- one moment. I do have additional slides --
14 and to provide to you today an overview of risk
15 management, discuss possible tools and strategies to
16 prevent fetal exposure based on current risk management
17 programs for teratogens and suspected teratogens, and to
18 discuss some of the practical challenges in developing
19 and implementing a risk management program for
20 Telavancin.

21 The combination of risk assessment strategies
22 and risk minimization tools comprise risk management.

1 The Food, Drug, and Cosmetic Act established what is
2 considered routine risk management in the postmarketing
3 setting.

4 The information contained in the package
5 insert communicates the risks and benefits to manage the
6 risks, all spontaneous adverse event monitoring and
7 reporting, provide data to assess the safety over the
8 products life cycle.

9 Additional measures are considered when these
10 routine measures I just mentioned are not believed to be
11 sufficient to minimize the risks. Providing information
12 to the committee today about these additional risk
13 minimization measures will be the focus of my
14 presentation. This presentation does not advocate for or
15 against implementing these measures for Telavancin, just
16 the facts.

17 Risk management plans are designed to meet
18 specific goals in minimizing known or potential risks of
19 a product while preserving its important benefits. They
20 may utilize single or multiple elements of strategies,
21 such as medication guides for patients, education to
22 healthcare providers, and other safe use strategies.

Capital Reporting Company

Page 117

1 During the development process, the applicant
2 and FDA develop an evaluation component to assess whether
3 or not the program is achieving its stated goals. The
4 FDA now has new authorities to require sponsors to
5 develop what are called risk evaluation and mitigation
6 strategies, or REMs, when additional measures are
7 determined to be necessary to assure that the drug's
8 benefits outweigh the risks.

9 For the purpose of our discussion today, I
10 will talk about risk management generally and not focus
11 on the specifics of risk evaluation and mitigation
12 strategy legislation.

13 Routine risk management measures are
14 appropriate for most drugs. Additional measures should
15 be reserved for drugs with important benefits and serious
16 risks that labeling is not sufficient to minimize. It is
17 important that the label reflect the significance of the
18 risks and support the rationale for additional measures.

19 Risk management plans work to educate the
20 various stakeholders involved in prescribing,
21 distributing, dispensing, administering, and taking a
22 particular drug product, to empower patients to make

1 informed decisions regarding product use. They can also
2 serve to target use to particular settings, patients, or
3 prescribers; or they may function to encourage or require
4 certain monitoring to ensure safe use.

5 It is very important to remember that
6 determining if a risk management program is needed, it is
7 done on a case-by-case basis. There is no one-size-fits-
8 all approach to managing a particular risk which may
9 become more apparent as we talk about more of the issues.

10 For each case we must consider a variety of
11 factors, including the severity of the disease, the
12 benefits of a particular drug treatment, other existing
13 therapeutic options, the commonality of the risk to the
14 drug class, preventability of the risk, and the severity
15 of the risk. Many of these considerations have been
16 highlighted in other presentations this morning.

17 I do want to point out, that while a special
18 setting is not necessary to prevent fetal exposure,
19 pregnancy detection requires special testing. And
20 pregnancy prevention requires knowledge about and comfort
21 with prescribing contraception. These measures may fall
22 outside of the typical standard of care and thought

1 process when a patient is treated for a complicated skin
2 and skin structure infection.

3 The duration of exposure is also important.

4 Based on the proposed indication and time to eliminate
5 Telavancin from the body with normal renal function, the
6 period of risk is approximately 16 days, short in
7 comparison to the typical length of treatment with other
8 teratogenic drugs.

9 Another consideration in particular for
10 teratogens is to assess the potential use in women of
11 childbearing potential. According to the Premier RX
12 Market Advisor, during 2006 nearly 10,000 women, age 12
13 to 44, were discharged from the hospital with a bill for
14 at least one of eight antibacterials approved for
15 complicated skin and skin structure infections, where the
16 primary diagnosis was related to a serious skin
17 infection. While the potential user population data are
18 relatively small, these data do not account for
19 additional antibacterial use, outpatient treatment, or
20 for uses of these drugs beyond serious skin infections.
21 These data do inform us that women of childbearing
22 potential may need Telavancin, and the need to assure

1 safe use in a way that is least burdensome to the
2 healthcare system.

3 If a risk management program is deemed
4 necessary for Telavancin, my next few slides provide an
5 overview of the general strategy used to minimize fetal
6 exposure for known or suspected teratogens based on
7 current risk mitigation programs.

8 These programs all strive for a similar goal,
9 to prevent fetal exposure through pregnancy detection and
10 prevention, as well as to further assess the effects of
11 fetal exposure -- to assess the effects of exposure on
12 fetal outcomes.

13 The objectives are to ensure that no pregnant
14 woman receives a teratogen, and that pregnancy does not
15 occur while women of childbearing potential are exposed
16 to the teratogen. This can be accomplished by employing
17 pregnancy testing and contraception use.

18 In addition, these risk minimization programs
19 employ pregnancy registries to both better characterize
20 the risk of fetal exposure, particularly if the drug is a
21 suspected teratogen. And to collect additional data to
22 assess where improvements in the program can be made.

1 More specifically, most teratogen risk
2 mitigation programs require one to two negative pregnancy
3 tests prior to initiating treatment, monthly testing
4 during treatment, and a post treatment pregnancy test as
5 a final measure of pregnancy detection.

6 Requiring two reliable forms of birth control
7 has become the standard. Usually this consists of one
8 hormonal method and one barrier method, started before or
9 at the time of treatment, and it -- before or at the time
10 of treatment when it's initiated, and continuing until
11 the drug no longer poses an exposure risk.

12 Telavancin's duration of use is days to weeks,
13 whereas most other teratogens with risk management
14 programs are taken for months to years. This difference
15 in exposure and need to start treatment immediately may
16 impact what the most suitable recommendations for
17 pregnancy testing and contraception should be.

18 Further, teratogen risk management programs
19 have pregnancy registries to collect data on pregnancy
20 outcomes and fetal abnormalities. Each pregnancy
21 registry attempts to enroll any woman with a possible
22 pregnancy exposure and collect data on the timing of

1 exposure, pregnancy outcome, delivery information, long-
2 term follow-up information of the child, and any ways to
3 improve the program.

4 Now, that I've briefly addressed the basic
5 methods to detect and prevent pregnancy, I will move on
6 to discuss the additional tools that other programs
7 utilize.

8 Medication guides help to inform patients when
9 it is necessary to the patient's safe and effective use
10 of the drug. The information may help to prevent a
11 serious adverse event, effect a patient's decision to use
12 or continue to use the product, and provide directions
13 for use, when they are critical to the drug's
14 effectiveness.

15 Education may be developed for healthcare
16 providers to encourage the implementation of the risk
17 management program and to further inform healthcare
18 providers about any serious risks. The information may
19 be disseminated by the sponsor or through various
20 professional organizations.

21 The purpose of safe use strategies is to
22 assist healthcare providers in following appropriate

1 prescribing practices, and to target the populations and
2 conditions for use most likely to confer benefit. Safe
3 use strategies typically require prescribers and
4 pharmacists to be enrolled in order to prescribe,
5 dispense, and administer the drug. In addition, drug
6 access may be linked to certain monitoring requirements.

7 The risk management program in place for known
8 or suspected teratogens, like Isotretinoin, Thalidomide,
9 or the Letaris for pulmonary arterial hypertension,
10 incorporate a combination of tools to encourage
11 healthcare providers to follow appropriate prescribing
12 practices.

13 These may be accomplished through prescriber
14 healthcare setting, pharmacy, and patient enrollment,
15 linking a negative pregnancy test result to dispensing,
16 completing patient/physician agreement forms, create a
17 formal opportunity for the patient to ask questions,
18 utilizing only specialty pharmacies to dispense the drug
19 product upon appropriate patient follow up, and limiting
20 the day supply per prescription and not allowing refills.

21 Both the Isotretinoin and Thalidomide programs
22 link the negative pregnancy test result to dispensing of

1 the drug. Programs like Letaris and Tracleer for
2 pulmonary arterial hypertension utilize specialty
3 pharmacies to follow up with patients before dispensing
4 the medication to them each month.

5 There is some practical challenges to consider
6 with developing and implementing a risk management
7 program for Telavancin. The majority of risk management
8 programs are for drug products that are used in an
9 outpatient setting. In fact, all the programs for
10 teratogens are primarily outpatient medication. So, the
11 tools these programs currently employ may not be
12 appropriate to minimize the risk in an inpatient setting.

13 For example, patient medication guides are not
14 typically provided to hospitalized patients, so, patient
15 education would require alternative measures. The need
16 for contraception and hospitalized patients would also
17 need to be evaluated, as well as the need for additional
18 pregnancy tests beyond the initial test if treatment is
19 completed before discharge.

20 While the bulk of Telavancin use is
21 anticipated to be inpatient, in a study submitted to
22 support approval, 20 to 40 percent of patients were not

1 hospitalized at baseline. The risk management program
2 would need to assure safe use in the inpatient, as well
3 as the outpatient settings, which may require different
4 methods than those employed in the inpatient setting.

5 We assume the risk of pregnancy to be more
6 likely in the outpatient setting, translating to a
7 possible need for different recommendations for pregnancy
8 testing and contraception, compared to that of the
9 inpatient setting. There would also need to be a plan
10 for patient started on treatment as an inpatient and
11 discharged to home to complete Telavancin treatment,
12 ensuring continuity of care, appropriate patient follow
13 up, and determining who the responsible prescriber is for
14 this scenario, would need to be considered.

15 Designing a single effective strategy to suit
16 all hospital systems is no small task. It may be more
17 realistic to require hospitals to enroll in the program
18 in order to have access to Telavancin, and then to
19 develop a list of requirements for enrolled hospitals
20 from which the individual hospital creates their own
21 policy and procedures to assure safe use.

22 These measures could or should include

1 standardized healthcare provider education, along with
2 the development of standardized order sets, order entry
3 stops, prescribing limitations and other safeguards.

4 Designing a risk management strategy for
5 outpatient use for the entire treatment course, or to
6 complete treatment upon discharge, is also complex. One
7 consideration could be to limit use to Telavancin to the
8 inpatient use setting only. However, this option is not
9 necessarily practical. Beyond educating the appropriate
10 healthcare providers, much more discussion would need to
11 occur to determine the best way to assure safe use in
12 infusion centers, or home healthcare settings, and how to
13 identify the responsible prescriber at the time of
14 discharge.

15 Teratogenic exposure is a preventable risk.
16 There are no further methods available to mitigate the
17 risk of birth defect once a patient and a fetus are
18 exposed.

19 Women of child bearing potential may need to
20 be treated with Telavancin. This situation creates a
21 need to assure safe use, without denying effective
22 treatment to those who need it, and in a way that is

1 least burdensome to our healthcare system.

2 If it is determined that Telavancin offers
3 important benefits to women of childbearing potential,
4 the question today is whether labeling can adequately
5 reach prescribers to assure safe use. The necessary
6 steps to check for pregnancy and to prevent exposure are
7 not new or unique, but are not necessarily part of the
8 standard of care for treating complicated skin and skin
9 structure infections. Labeling should support the
10 significance of the risk and the need for additional risk
11 management measures.

12 Historically programs have only been
13 considered if it is determined that pregnancy Category X
14 is most appropriate, and is not sufficient alone to
15 manage the risk.

16 If a risk management program is needed,
17 practical solutions to all of these logistical issues
18 that accommodate the need to administer Telavancin in
19 different settings must be addressed. Consensus on
20 appropriate monitoring and follow up in women of
21 childbearing potential, with consideration for the use
22 setting, must also be tackled. At minimum, regardless of

Capital Reporting Company

1 the pregnancy category, the applicant should seek to
2 further characterize the risk of fetal exposure by
3 developing a pregnancy registry. Thank you.

4 DR. RELLER: We will now take a short
5 ten-minute break. Panel members, please remember that
6 there should be no discussion of the PMA during the break
7 amongst yourselves or with any member of the audience.
8 We will resume at 11 a.m., please. 11 a.m.

9 (Pause in proceedings.)

10 DR. RELLER: To enable us to continue the
11 program, we are now open for clarifications by either the
12 sponsor or the FDA on the presentations made, or any
13 questions you have for any of the members who presented
14 this morning.

15 Dr. Cross.

16 DR. CROSS: I was wondering whether or not the
17 drug is dialyzed after hemodialysis. Is it like
18 Vancomycin or not?

19 DR. RELLER: Our renal consultants,
20 nephrologists, Theravance team, response on Dr. Cross'
21 question about whether or not Telavancin is dialyzed and
22 how much -- how quickly, if so. Thanks.

Capital Reporting Company

Page 129

1 DR. BARRIERE: Thanks. I apologize. First
2 let me apologize to the committee for my late arrival. I
3 had a bit of a medical emergency, so -- but Telavancin is
4 minimally dialyzed. Dialysis clearance is about 25
5 percent of total clearance. But during a brief
6 intermittent dialysis run there would be less than 10
7 percent of the drug removed -- excuse me -- removed.

8 DR. CROSS: And the second question I had,
9 Barth, is: I thought I caught that the vehicle was
10 Cyclodextrin, and if so, is there a problem with
11 prolonged IV administration?

12 DR. BARRIERE: There -- because of the --
13 primarily -- primary renal excretion of Cyclodextrin,
14 there is some accumulation, but the dosage adjustment of
15 Telavancin in patients with severe renal impairment
16 minimizes that accumulation.

17 DR. RELLER: Dr. Kauffman.

18 DR. KAUFFMAN: Yeah, my question -- excuse me
19 -- my question was similar, because there are other
20 agents, such as antifungals, in beta Cyclodextrins, and
21 there's actually a note that you shouldn't use it below a
22 creatinine clearance of even 50 MLs per minute, which

1 isn't severe renal insufficiency.

2 So, do you have any data on that?

3 And the other thing linked to this is: Do --
4 you must have -- we must have data on levels, serum
5 concentrations of this agent, and are those correlated
6 with renal failure, with development of decreased in
7 creatinine clearance, for example?

8 DR. BARRIERE: First of all, to address your
9 first question, the other drugs that -- including
10 Cyclodextrin -- I believe have a recommendation to avoid
11 them in severe renal impairment. For example,
12 Voriconazole I believe is the one drug. And I believe
13 that recommendation is primarily because Voriconazole is
14 not adjusted for renal impairment because of its primary
15 metabolism, so, there would be some significant
16 accumulation.

17 And to your second question, are you referring
18 to Telavancin exposure and correlation with -- we've
19 examined that in a number of different ways, and probably
20 one of the best examples would be illustrated here, which
21 is the percent change in creatinine versus AUC, 0 to 24
22 Telavancin, in the Phase 3 complicated skin and skin

1 structure infection studies. There doesn't appear to be
2 any correlation.

3 We've also looked at Cmax, maximum
4 concentrations, and minimum concentrations, and a similar
5 profile is observed.

6 DR. RELLER: Dr. Paganini had a question.

7 DR. PAGANINI: Thank you. I have actually
8 several, but I'll just -- let me follow up on the
9 dializability. Just as Vancomycin is, frankly, not,
10 quote, unquote, dialyzed with standard hemodialysis,
11 using different membranes, it's dializability becomes
12 much more prominent.

13 And also in this type of patient that is
14 potentially hemodynamically unstable, the form of
15 dialysis that's formed is usually a continuous form of
16 therapy, which in effect has a very dramatic removal of
17 Vancomycin.

18 So, my question to the sponsor is -- are --
19 has any data been generated in continuous forms of
20 therapy, or the more modern wide type of membranes that
21 are used with intermittent dialysis? The reason why I'm
22 asking this question is, not so much to obtain a piece of

1 information, but there seems to be a disconnect between
2 the renal excretion of the drug. And then as renal
3 dysfunction increases, which would then carry with it a
4 higher concentration of the drug, its effectiveness
5 decreases.

6 And I -- that seems to be a nonseparator
7 there. And, so, the only potential there is, as renal
8 decreases, there might have been some dialytic
9 interventions that may have removed drug, therefore, drug
10 inefficiencies.

11 So, there's a whole bunch of questions, I
12 guess, to -- if you have any information on that.

13 DR. BARRIERE: To answer your first question,
14 we have in vitro data on continuous renal replacement
15 therapy. And it's very efficient, as it would be for
16 Vancomycin and many other antibiotics.

17 With regard to the removal of Telavancin, it
18 depends on the flow rate. It depends on the membrane
19 type. But as you can see, with very high flow rates of
20 six liters per hour, with the membranes that were used in
21 this experiment, there's fairly rapid removal and it
22 looks very similar to normal renal function and that --

1 in this particular case.

2 With regard to the correlation between
3 accumulation of plasma concentrations and outcome, you
4 know, both we and the FDA have tried to examine this in
5 multiple different ways and really aren't able to come up
6 with any firm conclusions as to why this is, other than
7 very small sample sizes, and potentially many other
8 factors that these patients were -- had as a result of
9 their illness. These were very sick patients, older more
10 renal -- obviously more renal dysfunction and many
11 comorbidities.

12 Why Vancomycin did not decrease in efficacy is
13 unclear. One would expect efficacy of the drug to go
14 down as renal function decreases as a natural phenomenon.

15 And with regard to whether there was any
16 dialytic interventions, there were only a couple of
17 patients who underwent dialysis. And that would not
18 explain this difference.

19 DR. RELLER: Dr. Steckelberg.

20 DR. STECKELBERG: Thank you. With respect to
21 the efficacy analysis, the Vancomycin dose was gram Q12
22 hours, were concentrations and serum monitored, and was

1 it adjusted, and if so, what were the target
2 concentrations and the achieved concentrations?

3 And I have a second question.

4 DR. BARRIERE: The dose that was specified in
5 the protocol was a gram Q 12 hours, and that was because
6 that is the FDA approved dose. However, there were
7 adjustments allowed for that dose at each site. We
8 didn't try to mandate any particular dosing regimen for
9 the -- for Vancomycin dosage adjustment, simply because
10 there was so many sites with different methods of doing
11 it.

12 So, we allowed each site to monitor and adjust
13 doses appropriately, as long as the study blind was
14 maintained at each site through a blinding plan that we
15 evaluated and approved, Vancomycin serum levels could be
16 performed. And to -- they were performed in
17 approximately 25 percent of the patients who received
18 Vancomycin.

19 Now, as you can see, there were a small
20 proportion of patients who had fairly low
21 concentrations. The vast majority were between 5 and
22 15. And, again, small numbers of patients who had levels

1 between -- over 15 micrograms per mill.

2 DR. STECKELBERG: Thank you. And a quick
3 second question. Dysgeusia was notably prominent. In
4 addition, that's common with Metronidazole, which was
5 allowed in the protocol.

6 Was there -- was Metronidazole use equal in
7 the two arms, and was there any association of the
8 dysgeusia or the interaction effect with Metronidazole?

9 DR. BARRIERE: Yeah. We examined that, and
10 the Metronidazole use was pretty even. There was a
11 little bit more in the Telavancin arm, but it wouldn't
12 explain that difference. And it didn't particularly be
13 associated with dysgeusia. So...

14 DR. RELER: Dr. Kopp is next.

15 DR. KOPP: Thank you. I have a couple of
16 questions, and one might follow up on what Dr. Paganini
17 was asking about. You -- there was dose adjustment for
18 renal disease. Is it possible that you excessively
19 reduced the dose and then that question about levels
20 would address that?

21 DR. BARRIERE: We did have certain
22 concentration monitoring -- not monitoring, excuse me --

1 plasma concentrations in a very limited number of
2 patients with renal dysfunction and -- in the Phase 3
3 studies. What we did was to compare the area under the
4 curve for those patients who had normal renal function in
5 the study, or mild impairment. And that's represented by
6 the bar -- whisker chart on the left with over 300
7 patients. There's a lot of variability, hence, the error
8 bars are quite large. In a relatively small number of
9 patients with moderate or severe renal impairment, you
10 can see that the exposure was approximately the same.
11 So, this would suggest to us that the dosage adjustment
12 was appropriate and didn't result in under dosing.

13 DR. KOPP: Then if I could ask a couple of
14 questions about Slide 50 and having to do with the issue
15 of comorbidities that predict possibly renal impairment.
16 So, the data that I haven't seen before was that the --
17 the HAP Study showed a much higher level of comorbidity,
18 roughly 80 percent of the patients instead of 50 percent
19 had the specified comorbidities and, therefore, or
20 perhaps logically the amount of renal disease was much
21 higher.

22 Did you have enough in either study or in the

1 pooled study to look at in a multivariable analysis what
2 was important?

3 I guess you specifically said comorbidities
4 include, but not limited to heart failure, hypotension,
5 sepsis, diabetes, and hypertension.

6 DR. BARRIERE: They -- this is -- that was the
7 slide that was in the presentation. These are the
8 comorbidities and the prevalence in the pool population
9 of complicated skin and skin structure infection
10 studies. And, again, approximately half the patients --
11 it was slightly more in the Telavancin group -- had a
12 baseline comorbid condition. And there were some
13 imbalances here and there between the groups. But
14 generally they are reasonably well-balanced, in terms of
15 the types of comorbid conditions that could potentially
16 have been associated with renal dysfunction.

17 In terms of multivariate regression, the
18 number of patient and disease characteristics that were
19 considered in the model, including body mass index, sex,
20 age, race, of course, and then a number of disease
21 characteristics, including the site of the infection
22 based on creatinine clearance, the lesion size,

Capital Reporting Company

Page 138

1 nephrotoxic medication use at baseline diabetes, et
2 cetera, all of the other diseases. And there were
3 numerous of these factors that turned out to be
4 independently associated with renal risk.

5 DR. KOPP: And then I guess a couple of
6 particular questions about that, for example, diabetes.
7 That probably was significant in that 25 percent of your
8 study group was diabetic, about 65 percent of the --

9 DR. BARRIERE: Correct.

10 DR. KOPP: -- kidney patients.

11 Another issue WAS morbid obesity. That
12 appeared to be important, in that 7 out of 15 of those
13 with renal SAEs had morbid obesity.

14 DR. BARRIERE: Correct.

15 DR. KOPP: So, the question comes up, are
16 those people being overdosed if they're getting base --
17 drug purely based on weight rather than BSA?

18 DR. BARRIERE: We examined the
19 pharmacokinetics and a fairly limited beta set.
20 Unfortunately, very few of the patients who were morbidly
21 obese, or obese, or morbid obese had plasma
22 concentrations obtained during the Phase 3 study. But we

1 didn't perform a formal study in obese patients, but we
2 have looked at it.

3 The patients were dosed according to total
4 body weight. So, your question that relates to whether
5 these patients then overdosed as a result of this
6 excessive -- as a result of an excessive dose, for
7 example. Population PK analysis based on the Phase 3
8 data suggests that body weight had no effect on the
9 clearance. And, so, our conclusions were that there were
10 no further dosage adjustments. There was a very small
11 amount of -- I should say there was a somewhat -- not
12 somewhat -- but slightly higher exposure to Telavancin in
13 those patients who were morbidly obese or obese, but not
14 sufficient enough such that we would have associated that
15 with an excess risk.

16 DR. KOPP: And then back to diabetes for a
17 minute. Did you see if albuminuria or proteinuria was a
18 risk factor within that population?

19 DR. BARRIERE: Yeah. Unfortunately Telavancin
20 interferes with routine protein assays in the urine.
21 And, so, we're limited to using the microalbumin assay.

22 DR. KOPP: Uh-huh.

Capital Reporting Company

1 DR. BARRIERE: And we only obtain spot
2 microalbumins. And using that kind of data we really
3 couldn't find any correlations. There was no associated
4 -- association that we could find with elevated
5 microalbumin and renal risk --

6 DR. KOPP: And then one more --

7 DR. BARRIERE: -- the diabetics.

8 DR. KOPP: One more question and I'll stop.
9 The foamy urine that was mentioned, usually in nephrology
10 we think of that as heavy proteinuria.

11 Do you have an explanation for that
12 observation?

13 DR. BARRIERE: We do. The -- we also examined
14 to see if the foamy urine was associated with either
15 elevated levels of microalbumin, or with patients who had
16 developed renal dysfunction, or baseline renal
17 dysfunction. And there was -- really was no
18 correlation.

19 The Telavancin itself -- and if you shake it
20 up in the vial -- causes -- it looks very much like the
21 foamy urine. And the formulation of Telavancin, when
22 administered like that, would probably result in the

1 foam.

2 DR. KOPP: Thank you.

3 DR. RELLER: Dr. Follmann.

4 DR. FOLLMANN: If the sponsor could bring up
5 Slide 34 which shows the cure rates for the two groups as
6 a function of baseline creatinine clearance. I had a
7 question about that.

8 It seemed -- right. So, at the lowest level
9 baseline creatinine clearance, we do see this potential
10 difference in cure rates between the two groups, and
11 depending upon what statistical test you do, you might
12 find it significant or not.

13 The question I have has to do with your
14 proposed dosing, I guess. Which I think I heard earlier
15 you say that, for those who baseline creatinine clearance
16 greater than 30 we're going to cut the dose in half.

17 And are -- have you -- are you concerned that
18 that might make the cure rate even lower?

19 DR. BARRIERE: Well, that was what was used in
20 these particular patients. That wasn't just proposed for
21 the future, that was employed during the study. So, the
22 dosage was decreased by 50 percent, given -- actually the

Capital Reporting Company

Page 142

1 full dose given every other day for those patients with
2 creatinine clearances less than 30. And as I showed a
3 few minutes ago, although the data are limited, the
4 exposure appeared to be very similar to those patients
5 with normal renal function.

6 DR. RELLER: Dr. Leggett. All right. I'm
7 going down my list. Dr. Katona had a question, and then
8 Dr. Black, and Dr. Goetz.

9 DR. KATONA: This is a question for
10 Dr. Berkman. There are risk management plans that have
11 been around that the FDA has looked at.

12 How often have you looked at one that has a
13 three-pronged issue, where we're not only looking at
14 pregnant women, but also a QT prolongation and
15 nephrotoxicity?

16 DR. BERKMAN: The -- thank you for the
17 question. There was recently a drug approved for
18 idiopathic thrombocytopenic purpura that had about five
19 different risks that we're currently managing. It is
20 true that most the drugs that have risk management
21 programs are limited to one specific risk. And even if
22 there's a number of risks in a product label, that

1 doesn't necessarily mean that that warrants a risk
2 management plan to manage all those risks. So, it is
3 feasible that you could have a program that manages more
4 than one risk.

5 DR. KATONA: But how often does that come up
6 that you're -- you have one that manages more than one
7 risk?

8 DR. BERKMAN: There's currently, I think, 18
9 products on the market and their associated generics,
10 when it's applicable, that have risk management
11 programs. And, I mean, I would say -- I'd just be
12 guessing at the percentage -- but I'd say 90 percent of
13 them are probably limited to a -- well, maybe 80 percent
14 are limited to a single product. Ambersantin (ph) and
15 Bosentan have a liver toxicity as well as teratogenicity
16 managed in those programs.

17 DR. RELLER: Dr. Black.

18 DR. BLACK: (Inaudible) everybody's serum
19 creatinine went up, you know, regardless. And these are
20 people with normal baselines.

21 Did you see anything like that in the
22 Vancomycin studies as well?

Capital Reporting Company

Page 144

1 DR. BARRIERE: Just to clarify, these are the
2 patients -- the 48 patients who developed what we had
3 defined --

4 DR. BLACK: Okay.

5 DR. BARRIERE: -- and some the FDA defined a
6 potentially clinically significant increase, not all
7 patients.

8 DR. BLACK: This isn't everyone?

9 DR. BARRIERE: No. No. It's not everyone.
10 These are only those 48 patients who developed an
11 increase.

12 DR. BLACK: And I assume the dysgeusia got
13 better. You hadn't said that.

14 DR. BARRIERE: Yes, the dysgeusia got better.
15 Yes, it was trenchant.

16 DR. BLACK: Were the investigators aware of
17 the possible side effect, and the foamy urine possible
18 side effect, and could that have affected the blinding?

19 DR. BARRIERE: The -- that was listed in our
20 -- has been listed in our investigator brochure for quite
21 some time, because it was observed very early. And, you
22 know, given the fact that it occurred in both of the

1 treatment groups, albeit higher in the Telavancin group,
2 it is unlikely to have resulted in that. Despite that,
3 we examined the cure rates in those patients, removing
4 all of the patients who had a dysgeusia or a foamy urine
5 event, and the results were basically the same. There
6 was no difference between the treatment groups.

7 DR. BLACK: Thank you.

8 DR. RELLER: Dr. Bennett.

9 DR. BENNETT: The last I knew, no one has been
10 able to develop an assay for Cyclodextrin and body
11 fluids. The way that Pfizer approached that with their
12 Sulfa Butyl Cyclodextrin, was to use Carbon 14 labeling,
13 and to use that in a small number of normal volunteers
14 and, of course, in experimental animals.

15 What this means is, we know of almost nothing
16 about the pharmacology of Cyclodextrins in the human
17 body. We don't know if they're removed by dialysis, or
18 CVVH. As has been pointed out, that's quite different
19 than a regular dialysis. We don't know how much they
20 accumulate in patients with azotemia.

21 This makes me concerned about Telavancin in
22 patients who are azotemic. We don't have much experience

1 with that, with the Voriconazole or the Intraconazole,
2 because we've tried to avoid using those in patients who
3 are azotemic. So, I'm -- I'd be delighted to be updated
4 on my knowledge about the pharmacology of Cyclodextrin.

5 What do we know about the metabolism in the
6 human body?

7 DR. CONNOR: Studies of metabolism in
8 Cyclodextrin in the human body are primarily driven by
9 one of the sponsors who originally developed this. We
10 did develop a biolytical method. But what we do know
11 from their studies, and from ours, that most of the
12 Cyclodextrin that's injected, 98 percent plus, is
13 excreted by renal excretion.

14 DR. RELLER: Dr. Coleman. From whom did we
15 just hear?

16 UNIDENTIFIED SPEAKER: This is Dr. Mike
17 Connor, Toxicology Group.

18 DR. RELLER: Thank you.

19 All right. Dr. Goetz is next.

20 DR. GOETZ: A question for Theravance.

21 According to the data that was presented this morning,
22 the MIC 90 for Vancomycin against -- amongst the

Capital Reporting Company

Page 147

1 Staphylococcal isolates was approximately 1.0. As one of
2 the possible benefits of Telavancin might be activity
3 against Vancomycin isolates that -- isolates of Staph
4 aureus with higher Vancomycin MICs.

5 Do you have -- are there any data looking at
6 the response to Telavancin versus Vancomycin among
7 Staphylococcal isolates of MICs of one, one and a half,
8 or two?

9 DR. BARRIERE: We examined the response rates
10 in MRSA with -- in patients who had Vancomycin -- sorry,
11 patients who had MRSA with Vancomycin -- Vancomycin MIC.
12 And this illustrates -- this is by microdilution
13 susceptibility testing at the central laboratory. And
14 there appears to be a slight advantage in patients with
15 MICs -- patients who have MRSA with Vancomycin MICs of
16 one. There were very few number -- small number of
17 patients with Vancomycin MICs of two. And you can see
18 the cure rates there.

19 DR. RELLER: Dr. Paganini.

20 DR. PAGANINI: Again, a couple of issues. In
21 the animal data it -- I was impressed with the pathology,
22 which was clearly an acute kidney injury type pathology

1 that was there. So, then we translated over into the
2 humans. And I ask a couple of questions. Your serum
3 creatinines, you've based a lot of your stuff on serum
4 creatinine, which is fine. But there didn't seem to be a
5 correlation between creatinine, and weight, or age. It
6 -- it -- was there one?

7 The reason why I ask that, elderly females,
8 for example, which have very low muscle mass, they
9 actually come in with very low serum creatinines and
10 never reach a higher creatinine, yet still have
11 substantial renal dysfunction. And if you base it only
12 on serum creatinine, or delta serum creatinine -- in
13 fact, there's some studies that show that small delta
14 serum creatinines have worse outcomes, especially in the
15 elderly, creatinine being a surrogate for nutrition. So,
16 the correlation between weight, age, and serum creatinine
17 would be nice to know.

18 And then the final little statement that I
19 would have, is that acute kidney injury by many say,
20 well, don't worry about it. It will get better. That's
21 actually not quite true. Thirty percent of those people
22 actually never regain renal function. And if you start

1 with CKD, or chronic kidney disease, at a level of,
2 whatever, a level three, a level four, a level five, or
3 even level two, with an acute on top of chronic the --
4 that, in fact, may resolve, but resolves not back to
5 baseline, but to a worse level of kidney dysfunction.
6 So, a CKD patient who has acute kidney injury tends to
7 come away with that -- with worse CKD.

8 So, those are issues I think that have to be
9 evaluated. And the rationale -- if I can I'll just sort
10 of make a little statement then I'll stop -- why I asked
11 yesterday about the risk benefit, while you were talking
12 about noninferior, was exactly what the committee picked
13 up on, and that is inferiority of a 10 percent is fine if
14 everything else is the same. But if you start to have an
15 increase in complications, then that risk -- that
16 noninferior limit might want to be narrowed a bit because
17 you are expanding the complications of the drug compared
18 to its comparative. And, so, that's the reason why I
19 think complications are very important to be considered,
20 not only in the toxicology portion of your discussion,
21 but also in the noninferiority limits that you may want
22 to set for drugs overall. And I'd use this as a

1 comparator.

2 So, the question, I guess, would be age,
3 weight, and assumed creatinines.

4 DR. BARRIERE: May we have that slide that was
5 on preview, please?

6 Rather than using serum creatinine for the
7 correlation, we did estimate creatinine clearances in
8 these patients using the standard Cockcroft and Gault
9 equation. And here you see the correlation for each of
10 the treatment groups with age and creatinine clearance.
11 And, as you can see, as you might imagine, they're very
12 highly correlated using creatinine clearance. So, we're
13 combining all of these factors. And there is a very
14 strong correlation with increasing age and decreasing
15 creatinine clearance as you had pointed out.

16 I'd like to ask Dr. Lewis to talk about the
17 reversibility and some of the other issues you brought
18 up, please.

19 DR. LEWIS: You covered several issues, and
20 I'll try to respond to them in general. First let me
21 take a moment to put the renal effects received for
22 Telavancin into context. The overall incidence of acute

1 kidney injury in the skin studies was, if anything, on
2 the low side for what we see in reported general surveys
3 of acute kidney injury. So, there wasn't an excessive.
4 In fact, there was even a low end acute kidney injury.

5 My experience in multiple publications would
6 suggest that 70 to 80 percent of acute kidney injury is
7 due to under perfusion of the kidney, sepsis, shock,
8 heart failure, hypovolemia. And, so, the majority of the
9 35 renal AEs in the Tela group and -- out of 1,029
10 patients. And the 12 out of 1,000 in the Vanc group are
11 not likely to be due to drug effects. And, in fact, our
12 blinded adjudication of the serious renal SAEs were
13 concordant with what has been seen in the literature and
14 with that drug effect representing a minority of the
15 renal insufficiency, senior renal effect scenes.

16 I think the sponsors should be commended,
17 having adjudicated these cases, for putting very sick
18 patients in this study, including patients with baseline
19 renal insufficiency. It should be noted, however, that
20 the -- they did not stratify it. It was an ID study for
21 things like baseline renal function. And there was a
22 numerical imbalance in patients with severe, chronic

Capital Reporting Company

Page 152

1 kidney disease at baseline favoring the Vancomycin group.

2 With that all said, I'll -- putting both the
3 preclinical data that Dr. Paganini referred to, and the
4 clinical data together, I believe that Telavancin
5 exhibits a modest and reversible renal effect. Putting
6 it into context -- and I'll address the reversibility a
7 little bit more in a second -- putting it into a clinical
8 context with other antibiotics, I would put it on a scale
9 like this. I would rank it slightly above Vancomycin.
10 But I would have to comment that Vancomycin, which is
11 generally considered to have a low acute kidney injury
12 risk at the dosage used in this study, there are
13 increasing case reports, due to the resistant organisms
14 of higher and higher doses of Vancomycin being used with
15 higher trough levels. And it may actually rise above
16 Telavancin at some point.

17 The study had study specified creatinines to
18 be drawn as part of the study in renal monitoring. In
19 the cases that we adjudicated, we found that the vast
20 majority were reversible. And you could see the
21 creatinine coming down from its peak. And there was also
22 follow-up data -- and I don't know if Dr. Connor wants to

Capital Reporting Company

Page 153

1 -- but they went and got -- after the study was finished
2 to look at what happened to these patients who had not
3 completed returned to baseline. And, again, three of the
4 three patients identified, two of the three had, in fact,
5 returned to baseline.

6 We have to remember that antibiotic
7 nephrotoxicity in the literature and characteristically
8 does have -- is reversible completely to baseline.

9 Also, Dr. Paganini actually is a author on the
10 paper that I'm very familiar with, and the growing
11 literature, that small increases in serum creatinine are
12 associated with worse outcomes. I think that we have to
13 keep in perspective, that if two patients get a CABG and
14 one comes out and goes to the floor and looks great, and
15 one goes in the SICU is in shock, the one in shock gets
16 acute kidney injury, and that surprisingly also has a
17 worse outcome. So, at least in some of these patients
18 the acute kidney injury is a marker for severity of
19 illness.

20 I think an important study that speaks to this
21 is from the University of Pittsburgh, looking at acute
22 kidney injury in ICU patients. They compared patients

1 who had acute kidney injury to patients who had ESRD.
2 And, in fact, the patients with acute kidney injury had
3 worse outcomes to the ESRD patients, which, of course,
4 did worse than normals -- or normal renal function. And,
5 again, I think that speaks to at least a component of
6 this being acute kidney injury and being a marker for
7 severity of illness.

8 But, again, I think we saw in our blinded
9 adjudicated review clear reversibility as is reported and
10 expected in antibiotic nephrotoxicity.

11 DR. RELLER: Dr. Steckelberg.

12 DR. STECKELBERG: A question with respect to
13 pregnancy categories for the sponsor. And I welcome
14 comments from our FDA consultants as well. In looking at
15 the C versus X definitions, it really comes down to the
16 pivotal point as whether or not there are other
17 alternatives available. And assuming that we're talking
18 about serious life or limb threatening infections, that's
19 basically going to be Vancomycin or Daptomycin. So, how
20 would the sponsor characterize the population of pregnant
21 women with serious infections for whom there is no other
22 alternative therapy currently, and how big is that

1 population?

2 DR. COLEMAN: This is Dr. Richard Sweet.

3 DR. RELLER: Dr. Sweet.

4 DR. SWEET: Thank you. In obstetrics and
5 gynecology, a little belatedly to other specialties, but
6 we are seeing a tremendous emergence of MRSA infections.
7 For instance, the estimates are that they've increased
8 ten-fold in the pregnant population over the last five
9 years. While many of these are minor infections, more
10 and more we're seeing reported in the literature. And
11 I've seen in my own experience severe life threatening
12 infections in pregnancy. The MRSA infections in
13 pregnancy tend to occur in all trimesters, but
14 predominantly after 20 weeks. Fifty percent are in the
15 midtrimester and 20 percent are in the immediate
16 postpartum period. But they range from necrotizing
17 fasciitis, overwhelming sepsis, and septic shock,
18 secondary to severe cellulitis and abscesses.

19 So, yes, it's a growing problem in our
20 specialty. And there is a need for alternative as the
21 susceptibility to the current available agents becomes
22 less.

Capital Reporting Company

Page 156

1 DR. STECKELBERG: Accepting all of that, what
2 proportion of your patients would you find are not
3 treatable with Vancomycin and Daptomycin currently?

4 DR. SWEET: I think currently you're probably
5 talking about down in the one percent type of range. But
6 the problem is, when they come in -- especially ones with
7 serious infections -- you can't really wait in a pregnant
8 woman to ensure that they have it. You have to use an
9 appeared therapy. Not only is the mother potentially at
10 risk, but the fetus also potentially at risk, for not
11 only complications of infection, but with uncontrolled
12 systemic infection, you're at risk for preterm labor.
13 And increasing studies show a relationship between such
14 infections in neurologic sequelae like cerebral palsy.

15 DR. RELLER: Dr. Fleming.

16 DR. FLEMING: I have two questions for the
17 sponsor for efficacy. But very quickly, if I could have
18 Dr. Pohlman, first, from FDA, Slide 22. As I'm trying to
19 understand the relationship between the renal failure,
20 renal insufficiency and death, we have in the two pivotal
21 studies, before test of cure, nine deaths against nine
22 after test of cure within 30 days, five deaths against

1 2. And then in the uncomplicated Staph aureus bacteremia
2 203A study, it's five deaths against three. So, overall
3 it's 19 deaths on Telavancin against 14 on the
4 comparator.

5 And of the Telavancin deaths, the ones that
6 had had prior indication of renal insufficiency or renal
7 failure were two on Study 30A, four of the five after
8 test of cure, and two of the nine before test of cure.
9 That's eight on Telavancin against one on Vancomycin.
10 So, of the deaths that occurred, the patients that had
11 renal insufficiency or renal failure before death was
12 eight against one.

13 This slide gives us the three that are coded
14 as possibly related. I think these were all in the nine
15 against nine. These are all on Telavancin, renal
16 insufficiency, in two cases acute renal failure. So,
17 it's called possibly related adverse events resulting in
18 death.

19 Is there any additional clarification that can
20 be provided about the nature of that causal relationship
21 here, the extent to which these may have been causal for
22 death?

Capital Reporting Company

Page 158

1 DR. POHLMAN: If you give me a little while, I
2 may have more information. Let me just check.

3 DR. RELLER: In the interest of time, we'll
4 come back to Dr. Pohlman.

5 Meanwhile, Dr. Shelby --

6 DR. FLEMING: I had two other questions.

7 DR. RELLER: Go ahead.

8 DR. FLEMING: So, I wanted to ask those and
9 come back to Dr. Pohlman.

10 So, for the sponsor on Page 31, Page 31 is
11 your principle analysis. In the spirit of our discussion
12 yesterday, since this includes all of the randomized
13 patients, what I'd like to see is this analysis in those
14 patients who had wound or ulcer infection, or cellulitis,
15 or syphilis, IE, leaving out those that had only major
16 abscess.

17 And there are three analyses that have been
18 put forward, the FDA's analysis that's less favorable
19 than the sponsors, and then the CE analysis, and then
20 this one is AT. This one is the most favorable. So,
21 giving you the best situation in which to consider, can
22 you give us these results when we look at those patients

1 that have major abscess only left out of the analysis?

2 DR. COLEMAN: We listened yesterday and last
3 night created --

4 DR. FLEMING: Okay. Okay. So, essentially,
5 as we look at each of these, you've left out the major
6 abscess only. And, as we look at this, each of these
7 studies with the targeted population would meet a 10
8 percent noninferiority margin, and that's actually by
9 even -- with your CE population, which is somewhat less
10 favorable than your AT.

11 DR. COLEMAN: True.

12 DR. FLEMING: The second question on efficacy
13 is Slide 33. And you had had hopes at the beginning that
14 you would be superior -- Slide 33 -- you had hopes at the
15 beginning that you would actually be superior in MRSA and
16 noninferior over all.

17 Once you break people into these subgroups and
18 you look at MRSA infected, then if this group is going to
19 be better than the average, then the complimentary group
20 could be worse than the average. And when the average is
21 fairly neutral, this could be very problematic to your
22 label.

1 Now, actually to your benefit here, because
2 the MRSA isn't driving this -- the complimentary group,
3 probably will be meeting noninferiority.

4 Could you show us -- whenever you show a
5 subgroup, you need to show the counterbalancing subgroup
6 as well. So, can you show us this slide for the rest of
7 the patients, the ones who aren't MRSA infected?
8 Particularly the clinical cure result.

9 DR. POHLMAN: Yes. Here's the cure rates
10 baseline MRSA for the CE population, yet with or without
11 MRSA.

12 DR. FLEMING: Yes. Okay. So, it's fortunate
13 in this case that, because the results are fairly
14 neutral, the MRSA weren't plus seven or something,
15 because then the complimentary group would be minus five
16 and that would be problematic.

17 So, essentially what this says, is why you
18 don't have superiority in MRSA, at least there is
19 consistent evidence that the rest of the group is getting
20 benefit.

21 Dr. Pohlman, do you have your --

22 DR. POHLMAN: Yes. Yes, I do.

Capital Reporting Company

Page 161

1 DR. RELLER: Dr. Pohlman.

2 DR. POHLMAN: In terms of the two that were
3 recorded during the reporting period, one was based on
4 the original protocol, which was a 7.5 milligram per
5 kilogram dose. The study was started with that dose and
6 then the dose was increased to a 10 milligram per
7 kilogram dose. This was an 82-year-old female with
8 venous ulcers and cellulitis to the right leg and foot.
9 Medical history is significant for diabetes, bilateral
10 leg edema, gout, hypertension, fluid retention, obesity,
11 a concomitant medication Simvastatin, Allopurinol,
12 Metformin, Furosemide.

13 She -- on day eight of therapy, the patient
14 developed an episode of shortness of breath, and on day
15 10 developed oliguria. Serum creatinine was elevated at
16 2.1. Then the patient required transfer to the ICU
17 because of hypotension. Study medication was
18 discontinued that day. Patient was intubated. Serum
19 creatinine was 3.2. It looks like she got concomitant
20 pneumonia. Investigator assessed the events as unlikely
21 or not related to study medication.

22 The second case that occurred on therapy,

Capital Reporting Company

Page 162

1 70-year-old male, history of unstable angina, congestive
2 heart failure, defibrillator placement, hypertension,
3 diabetes, obesity. This one actually was concomitant
4 medication Natrecor, something that came on line after I
5 was around. Baseline creatinine 1.0. Day four, 1.9.
6 Telavancin discontinued on day six due to renal
7 insufficiency. Day seven, serum creatinine was 2.7 and
8 was progressive. The patient status was changed two days
9 later to DNR. Comfort care, and patient died two days
10 later. So, that one was a little -- they're all
11 confounded. I mean, these are sick people.

12 And the third one that was reported after the
13 -- after the death reporting period, patient had a
14 history of severe heart failure and chronic renal
15 insufficiency, received an inappropriate or high dose of
16 study medication based on baseline renal function.
17 Creatinine rose from baseline of 4.1 to a maximum of 10.3
18 one week after starting medication, discontinuation.
19 Patient died seven days after the test of cure from
20 progressive renal failure, refusal of dialysis, and was
21 outside the study reporting period. The investigator
22 assessed the event of acute renal failure as possibly

1 probably related.

2 DR. RELLER: Dr. Shelby had a question.

3 DR. SHELBY: Thank you. I've got three
4 unrelated questions. First, the bactericidal mechanism
5 of Vancomycin, is it similar to or different from
6 Telavancin?

7 DR. RELLER: Dr. Coleman.

8 DR. COLEMAN: Thank you. Dr. Bret Benton from
9 our microbiology group.

10 DR. BENTON: Good morning. Bret Benton from
11 Theravance. The Telavancin bactericidal activity is
12 related to its dual mechanism of action. It shares, like
13 Vancomycin, a mechanism that includes inhibition of cell
14 wall synthesis by a substrate targeted mechanism. It
15 also, as we've described by virtue of its interaction
16 with the early peptidoglycan intermediate Lipid II,
17 disrupts the barrier function of the membrane. And this
18 results in more efficient and potent bactericidal
19 activity than what's observed with Telavancin.

20 In time kill studies where we've compared
21 Telavancin's bactericidal activity to that of Nafcillin
22 and Vancomycin -- as shown on the slide here that's

1 projected -- we see comparable or superior bactericidal
2 activity to both comparator agents.

3 I could go into more details on the mechanism,
4 but I'm not sure if that's in the spirit of your question
5 or not. I'd be glad to --

6 DR. SHELBY: No. I just want to know if
7 they're different -- very different. Some are --

8 DR. BENTON: They're related on a fundamental
9 level --

10 DR. SHELBY: Okay.

11 DR. BENTON: -- where both compounds bind,
12 peptidoglycan intermediates and notably Lipid II.
13 Telavancin more effectively targets the Lipid II
14 peptidoglycan precursor than does Vancomycin. And we
15 believe that's the primary reason why it's active against
16 a lot of the Vancomycin intermediate and nonsusceptible
17 strains. And it's interaction with Lipid II results
18 subsequently in a depolarization of the membrane and loss
19 of membrane potential. And that also contributes to the
20 rapid loss of cell viability.

21 DR. SHELBY: All right. Thank you.

22 The sponsors proposed a pregnancy registry.

Capital Reporting Company

1 Can you speak to how that would work and how
2 the information that accumulates in that registry would
3 be shared and used?

4 DR. COLEMAN: Our plans would be to follow the
5 examples of the antiretroviral registry -- registries and
6 others that have come before us and learn from their
7 example about how best to construct, and implement, and
8 use a pregnancy registry. The data would be reported in
9 the regular safety summaries to the agency and to all
10 regulatory bodies overseeing the approval of the drug.

11 DR. SHELBY: Okay. Thank you. And my last
12 question, with regards to the mini pig developmental
13 study. There was mention that these animals were exposed
14 to multiple and various other antibiotics during the
15 course of the study. I don't have a copy of that study,
16 so, I'm curious about what went on in that study.

17 DR. COLEMAN: Dr. Mike Connor.

18 DR. CONNOR: Yeah. During the course of this
19 study, the animals are dosed on a daily basis during that
20 period of organogenesis. And this requires people
21 sitting down in the stalls with the pigs and working with
22 them. And over the course of this study, that also

Capital Reporting Company

Page 166

1 provided some degree of stress to the pigs as well. And
2 there was some lameness and skin wounds, excuse me, where
3 the vascular port was. And on occasion topical
4 antibiotics were added to their care as routine
5 veterinary care, but no systemic antibiotic.

6 DR. SHELBY: No systemic antibiotics?

7 DR. CONNOR: No systemic antibiotics.

8 DR. SHELBY: Okay. Thank you.

9 DR. RELLER: Dr. Alston.

10 DR. ALSTON: I have two quick questions and a
11 comment. And I can't see you, but I'm sure you're
12 there. I sort of got the sense from reading the
13 materials -- but maybe I'm wrong and you can tell me --
14 that somehow in enrollment patients with MRSA were
15 encouraged, or I think the word enhanced was used
16 yesterday. And since the hope, especially in a
17 noninferiority trial, is that you enroll patients
18 promptly and get going promptly with treatment, I'm just
19 interested in the mechanics of performing the study of
20 how investigators were encouraged to get MRSA patients
21 into the trial.

22 DR. COLEMAN: In addition to selecting sites

1 that had higher rates of MRSA's, we first went only to
2 countries across the globe where MRSA rates are high. We
3 then spoke with investigators and looked for
4 documentation of the rates of MRSA within their
5 institution.

6 Within the protocol; however, in addition to
7 the usual inclusion/exclusion criteria, we asked that the
8 investigators focus in on groups at higher risk for MRSA,
9 and provided a list of the risk factors that have been --
10 appeared again and again in -- amongst publications as
11 indicating a patient will have a higher risk for MRSA.
12 And that's how we enrich the population for MRSA.

13 DR. ALSTON: I see. And my second question
14 was about the altered taste. And in a short trial like
15 this of seven days, I would imagine that patients can put
16 up with a bad taste. But as a clinician, I'm sure that
17 if this drug supplants Vancomycin and is used for
18 prolonged periods off label, I can imagine patients
19 becoming fatigued with the bad taste and starting to call
20 us when they're on their home therapy. And I was
21 wondering with the Staph aureus bacteremia trial whether
22 you have experience with patients being treated for

1 longer than seven days, and whether the taste led to
2 discontinuations.

3 DR. BARRIERE: The metallic and soapy taste is
4 very trenchant. And it led to discontinuation in one or
5 two patients total. So, they were able to tolerate it
6 quite a while.

7 In the uncomplicated bacteremia study, that
8 was a two-week trial. There was a fairly limited number
9 of patients. And I apologize, I'm not sure I have the
10 data completely in front of me, but there were just, I
11 believe, one or two patients who may have complained of
12 metallic or soapy taste. And that's consistent with what
13 we found in the hospital-acquired pneumonia studies,
14 where metallic or soapy taste was basically not reported.

15 DR. ALSTON: Thanks. And then just a comment,
16 I think really just for FDA, that in the area of
17 community-acquired MRSA where a lot of these are going to
18 be abscesses and surgery is probably as important, if not
19 more important than the antibiotics. For a
20 noninferiority trial I think we're really going to have
21 to look at subgroups of patients, in terms of whether
22 they required surgery or not, and the adequacy and timing

1 of the surgery. And it would be great to look at a
2 subset of people who didn't require surgery and didn't
3 get preactive antibiotics, because in that sense this
4 would be essentially step-down therapy. But that would
5 obviously be a very small group. But I think we're
6 really going to have to focus on the surgical aspects of
7 enrollment in the area of community-acquired MRSA.

8 DR. RELER: Dr. Cragan.

9 DR. CRAGAN: I had a question for Dr. Scialli
10 actually. I actually had two questions, but one has to
11 do with interpreting the reproductive tox studies. One
12 of the specific limb defects that was reported was absent
13 ulna in the rabbit, and radial agenesis, or absence of
14 the radius in the mini pigs. And presumably those have a
15 similar ideology. And I wanted to know how you interpret
16 those specific defects.

17 Are these the kind of limb defects that have
18 been seen historically or that you typically see in
19 animal studies? And might absent radius be an
20 explanation for a short limb, or an otherwise malformed
21 limb in an animal that didn't get a skeletal examination?

22 UNIDENTIFIED SPEAKER: All right. Thank you

1 for that question, Dr. Cragan. Let me show you the
2 experimental animal data again. This is the rat. And,
3 if you remember, there were two control animals with
4 spontaneous abnormalities, and then the two -- the middle
5 and the high dose individual fetus with abnormalities.
6 The middle dose fetus didn't have absent radius ulna or
7 anything else. That animal had a normal limb. The high
8 dose, we don't know.

9 Let me show you the individual fetuses. This
10 is the one fetus that had a skeletal exam. And you can
11 see that this fetus had other abnormalities beside the
12 external finding of the short limb. There was syndactyly
13 and anophthalmia. So, this is certainly an abnormal
14 fetus, but not with any limb syndrome, other than the
15 syndactyly, which is a spontaneous occurring malformation
16 in the rat.

17 This is the second fetus, the one that was not
18 examined skeletally. And this fetus, as you point out,
19 could have had anything. We really don't know. I'm
20 somewhat concerned about calling external malformations
21 without internal verification. And, of course, in the
22 prepostnatal study in the rat, there was no short limb in

1 several hundred fetuses.

2 The rabbit study showed an abnormal fetus in
3 the control. There was also a fetus with multiple
4 malformations at the high dose group, one of which was
5 absence of the ulna. There was another fetus with
6 umbilical hernia. And if we focus on that -- I need the
7 rabbit -- well, let's just go on. If we focus on the
8 rabbit fetus that had the abnormalities -- this is not --
9 this is the rat -- but that fetus had multiple
10 abnormalities, including diaphragmatic hernia,
11 gastroschisis, absent of gall bladder, as well as the
12 missing ulna.

13 Now, I don't consider this to be a specific
14 limb defect. To me this is an embryo that's had a
15 disruption of the developmental program and is wildly
16 just not put together well. So, this is not a specific
17 abnormality.

18 In the mini pig study -- can I get that mini
19 pig slide back, please? In the mini pig study, the
20 incidence of polydactyly is high in many pigs. It's a
21 spontaneous malformation that occurs commonly and -- even
22 in controls. The polydactyly incidence was zero in the

1 high-dose group. The absent radius was present in a
2 middle dose animal that also had other abnormalities.
3 That absent radius was described by the study director as
4 a radial rate abnormality, or radial rate deficiency,
5 which is somewhat different from missing -- other than
6 missing lungs, radial rate deficiency, as you know, in
7 humans is a specific defect.

8 In addition, in the pig study we heard about
9 the increase in resorptions -- in late resorptions.
10 Interestingly enough, in these animals in the high-dose
11 group, there's only one resorption per litter. It
12 occurred in four out of the five litters. And that
13 resulted in a elevation in the litter incidence of late
14 resorption.

15 But the key thing about the mini pig study is
16 that none of these animals reproduced very well,
17 including the controls. So, this is a study that's
18 difficult to interpret. So, because of the individual --
19 the sort of sporadic nature of the malformations, the
20 lack of a dose effect, the general poor reproduction, the
21 study director in this study -- for the mini pig study,
22 concluded that there were no Theravance -- Telavancin

1 associated abnormalities, either malformations or other
2 developmental abnormalities in this study.

3 One of the difficulties that we have with
4 studies like this with sporadic and not reproducible
5 abnormalities being interpreted as showing that a drug is
6 a teratogen, is that, if you assign Category X based on
7 sporadic malformations, Vancomycin would be Category X.
8 And, of course, Category X says that the label writer has
9 decided that the clinician and the patient play a role in
10 making a risk benefit determination. Whereas Category C,
11 which this drug clearly is, says there are adverse vex in
12 fetus. In this case fetal weight reduction. And that
13 the benefits and risk decision are made by the clinician
14 and the patient.

15 DR. CRAGAN: And my -- along that line, I
16 guess my second question is: Given the difference in
17 interpretation that you and Dr. Manson had versus the FDA
18 review, are there, in your opinion, additional studies,
19 repetition of animal studies, things that might help
20 clarify that difference in interpretation and have a more
21 uniformed resolution of how the situation --

22 UNIDENTIFIED SPEAKER: It's an excellent

1 question. And actually we considered that question. The
2 rat and the rabbit study were well-performed, standard,
3 teratology studies, that to us gave very clear results,
4 and that was the decrease in fetal weight. We can argue
5 whether the fetal-weight determination or the litter-
6 based determination is the appropriate one, but either
7 way it's an adverse effect.

8 The teratology findings, the malformation
9 findings were very similar to every other study that you
10 see in these drugs. And I showed you some examples of
11 Category C drugs. I showed you Vancomycin. These are
12 very similar data sets. These are adequately done
13 studies. This would be sufficient, in my view, to
14 determine what the labeling ought to be. I don't see any
15 reason to do additional experimental animal studies.

16 Now, the sponsor plans to put the limb
17 findings in the label, although Dr. Manson and I
18 considered them to be sporadic. But I think that to do
19 anything further regarding categorization of this drug
20 would be unwarranted and out of keeping with other data
21 sets for other medications.

22 DR. RELLER: We have consumed the 45 minutes

1 allotted. I realize we started 15 minutes late, but we
2 picked up 15 minutes in the open hearing. That was a
3 draw.

4 There are eight persons who have already
5 raised their hands. And what I would like to suggest is
6 that we hear from each of them, but that -- after those
7 eight -- and not all may ask the questions -- that we
8 then go to a charge from the committee regarding the
9 questions. And there will be opportunity for discussion
10 during that period. Therefore, we will go to Dr. Lesar
11 who has been patiently waiting for some time.

12 DR. LESAR: Yes. I'd like to ask questions
13 right to the response with varying renal function. And I
14 just want to point out the difference between the
15 analysis of the FDA, which was FDA Slide 18, and then
16 manufacturer Slide 34, in that there was a difference
17 certainly in the CE evaluation. But what that apparently
18 did is magnify the difference as the creatinine went
19 down. And I'd like to have some comments by one or both
20 related to how we should be considering that, because it
21 would appear that that might have some issue related to
22 the question, how we should label this drug. And also it

1 has to do with the noninferiority discussion of
2 yesterday. I'd be interested to see if the analysis was
3 done of just lumping all the patients who had serum --
4 were using the FDA numbers, everyone who had serum
5 creatinines less than 50, and what those confidence
6 intervals were.

7 DR. RELLER: While that reply is being
8 assembled -- and please raise a hand when the responder
9 is going to present the material -- we'll go to
10 Dr. Weinstein's question.

11 DR. WEINSTEIN: I just had a quick
12 clarification question for Dr. Barrier. When you -- when
13 Dr. Alston asked you about the dysgeusia, you replied
14 that it was transient. But do you mean transient
15 associated with each dose, or did it only last in the
16 initial period that the drug was being given and then no
17 longer occur?

18 DR. BARRIERE: It often occurred during the
19 infusion, and when the infusion was over it would fade
20 away. There was some patients -- very few patients who
21 would have repeated dysgeusia, and I believe very small
22 numbers of patients who had dysgeusia just -- they could

1 not get rid of the taste. And those were the two
2 Telavancin patients who discontinued. And actually there
3 was one Vancomycin patient who discontinued due to
4 dysgeusia as well.

5 DR. RELLER: Mr. Levin.

6 MR. LEVIN: Thank you. Two things. One is I
7 would take exception, I think, to the statement that a
8 Category X would eliminate a discussion and an informed
9 decision-making process between patient and doctor. It
10 creates a very structured process. For example, as we
11 have with Accutane, where there is a formalized, informed
12 consent, and a formalized providing of educational
13 materials to the patient. So, I see it as actually an
14 enhanced relationship, rather than the elimination of the
15 relationship. Elimination of the relationship would be
16 if we don't approve the drug, then we've taken it out of
17 the hands of a patient and doctor to make a decision.
18 Category X does not do that. It creates a set of
19 management tools to try to mitigate the risk where
20 appropriate. So, that's a comment.

21 On Slide 79 the sponsor and demonstrated
22 benefits said, no emergence of resistance seen to date in

1 clinical trials. And as a lay person I was wondering
2 whether any sponsor for any of the competing products
3 could have made the same claim at this point in the
4 development process.

5 In other words, when preapproved -- coming to
6 an Advisory Committee for approval, given the small
7 numbers involved in clinical trials prior to approval, is
8 that likely to have been said by everybody, we didn't see
9 any resistance? Is this something unique to this drug
10 that is defensible in terms of the evidence, or is this
11 simply sort of the reverse of lead-time bias?

12 DR. COLEMAN: Dr. Corey, you want to speak to
13 that?

14 DR. COREY: All right. I think that during --
15 what we've seen in the last several years is a gradual
16 emergence of resistance in other products. At this stage
17 of the game, did -- was there resistance noted in
18 Daptomycin in the studies? Absolutely. If you look at
19 the bacteremia trial, the -- and endocarditis trial we
20 did, there is emergence of resistance during therapy in
21 seven patients. So, I think that that claim could not
22 have been made at the same stage we were for it.

1 And I'm not sure about Linezolid. We know
2 that since then there have been resistance. There may
3 have been resistance in other Staph species, and I just
4 don't know whether there was Staph aureus resistance
5 during their initial consideration.

6 DR. RELLER: Dr. Cross.

7 DR. CROSS: I was wondering whether or not
8 there are any other antibiotics that attack the Lipid II
9 precursor, and if so have any of these developed
10 resistance over time?

11 DR. COLEMAN: Dr. Benton.

12 DR. BENTON: The portion of the Lipid II
13 molecule that's recognized by Telavancin is the diala
14 diala (ph) peptide -- dipeptide terminus. That's the
15 same structure that's recognized by Vancomycin. The
16 place where the two antibiotics diverge is that
17 Telavancin is more effective at targeting the Lipid II
18 molecule for Vancomycin. Vancomycin binds to the diala
19 diala sights on immature and mature peptidoglycan equally
20 well as it does to that of Lipid II. Telavancin targets
21 Lipid II primarily.

22 The -- to my knowledge there are no other

1 antibiotics that specifically bind Lipid II, approved
2 antibiotics. There is Niacin, which binds to a different
3 structure of the diphosphate cage on the Lipid II
4 molecule. It's not an antibiotic. It's used a food
5 preservative. There are other compounds, Ramoplanin,
6 that binds to a different region of the Lipid II
7 molecule. That's not yet approved for human use.

8 So, to answer your question, any other
9 antibiotics approved for clinical use, no, other than
10 Vancomycin there are not.

11 DR. CROSS: So, if I understand you, the
12 Telavancin targets the exact same mechanisms of
13 Vancomycin and -- which means both peptidoglycan and the
14 cell membrane effects are also true for Vancomycin?

15 DR. BENTON: The cell membrane effects aren't
16 true for Vancomycin. Could I have the table depicting
17 the IC 50 values that was in our briefing document? I
18 believe it might be Table 1. Thank you.

19 This table displays the inhibitory -- 50
20 percent inhibitory concentrations for the two cellular
21 targets for Telavancin, and by comparison Vancomycin
22 against the reference Staph aureus strain. And you can

1 see the cell wall. The IC50 for cell wall and the MIC
2 correlate nicely. The membrane mechanism is
3 approximately -- the IC50 is approximately 10-fold higher
4 in this particular strain. And when (inaudible) in
5 parallel, Vancomycin does not detect a producible effect
6 on the membrane. You -- we cannot detect an IC50.

7 And this -- can I get Figure 1 from the
8 briefing document as well, please? Another way to
9 visualize this differential is -- was performed by
10 conducting experiments where we derivatized Telavancin and
11 Vancomycin with fluorescent tags and used those in
12 microscopy studies to study the localization of the
13 antibiotics on the cell surface.

14 Sorry. Figure 1 from our briefing document.
15 And when that slide comes up, basically what it shows is
16 that for Staphylococcus aureus cells -- Figure 1. That's
17 the -- there we go. Thank you. And, so, we're labeling
18 Staphylococcus aureus (inaudible) type cells with
19 fluorescent antibiotics, Telavancin on the left and
20 Vancomycin on the right. And the -- the side of active
21 cell wall synthesis where the majority, if not all of the
22 Lipid II, is localized in the cell was at the division

1 septum. And you can see that while -- on the right,
2 while Vancomycin stains the septum, there's quite a bit
3 of staining on the mature cell wall as well. And that's
4 where a lot of the unproductive binding of Vancomycin
5 occurs. Telavancin has limited binding characteristics
6 to the mature cell wall, binds primarily to the division
7 septum.

8 DR. RELLER: Excuse me. Dr. Septimus.

9 DR. SEPTIMUS: Real briefly. I want to get
10 back to a subanalysis, specifically on the MRSA infected
11 patients, did you do the same subset analysis looking at
12 lower creatinine clearances, and also in patients who had
13 underlying problems, such as diabetes, large lesion
14 sizes, or other comorbidities, to which you stated the
15 drug was less effective. How did it look for just MRSA
16 or enough patients to do that subclass analysis?

17 DR. COLEMAN: Dr. Barriere.

18 DR. BARRIERE: The -- we did examine the --
19 and that's not the slide. We did examine the -- oh, I'm
20 sorry. Put that back. That's -- that answers one of
21 your questions. I apologize.

22 We did examine by comorbid conditions, such as

Capital Reporting Company

Page 183

1 diabetes. And this is overall by a diabetes. And I'm
2 not sure that we have the analysis broken into creatinine
3 clearance in diabetic patients, but we did look at this
4 in patients with MRSA by renal function.

5 And if we could have the slide up. The
6 pattern for MRSA was very similar to -- was very similar
7 to those patients with other organisms. No. That's not
8 it. Oh, I'm sorry. Yes, this is it. These are in
9 patients with normal or mildly impaired baseline renal
10 function, where the results actually were significantly
11 greater for Telavancin than Vancomycin in the patients
12 with MRSA. And consequently the response rates, as I
13 have just mentioned, in the patients with moderate or
14 severe renal impairment were lower by about eight percent
15 or so.

16 DR. RELLER: Dr. Mirkes.

17 Dr. Paganini, you passed?

18 DR. PAGANINI: Yep.

19 DR. RELLER: Dr. Smith.

20 DR. SMITH: Thank you. I just want some
21 clarification, I think, from FDA on their animal studies
22 for the teratology. I found it somewhat confusing that

1 they used the word significant. And I wasn't clear if
2 they were using that in terms of statistically
3 significant. So, I'd just like to ask, if the findings
4 -- which findings were statistically significant.
5 Dr. Chen, I think it was in your study -- in your
6 presentation.

7 DR. CHEN: Here we don't seek for the
8 statistically significance. Here we just compare the
9 positive findings with the control group, in the placebo
10 control group. And as this point that we may use the
11 clinical statistical significance but we may not. We
12 just -- based on the judgment -- the scientific
13 judgment. Also we compare with histological database
14 compared with that of finding to see if that's higher or
15 lower this -- based on this. Not necessarily
16 significant. Right.

17 DR. RELLER: And a final question or comment
18 from Miss Thomas.

19 DR. THOMAS: This is a question to the
20 sponsor. I'm very concerned about the nausea and
21 vomiting side effects that were very high, much, much
22 higher than Vancomycin. Can you tell me the duration

1 experienced by patients?

2 DR. BARRIERE: The nausea and vomiting that
3 was observed was, as noted, was about twice as frequent
4 in the Telavancin group as the Vancomycin group. Again,
5 it was trenchant. And notably less than one percent of
6 the patients discontinued for nausea, and about one
7 percent discontinued for vomiting. Actually slightly
8 less than one percent of patients discontinued. So, the
9 nausea and vomiting was -- the vast majority was mild to
10 moderate in intensity and very well tolerated by the
11 patients.

12 DR. RELLER: And Dr. Pohlman's response to
13 Dr. Lesar's question.

14 DR. POHLMAN: Yes. Since a little bit of time
15 has passed, too, can I -- can you just clarify what it is
16 you wanted to know and -- or repeat it for my sake so I
17 -- now that I have all the numbers?

18 DR. LESAR: Yeah, the question had to do with
19 the difference in the analysis by the FDA and the sponsor
20 related to changes in response rate as creatinine
21 clearance decreased. And the FDA analysis magnified
22 those differences. And if one looks at the FDA analysis,

1 it -- individuals it become -- for let's say as a group
2 with creatinine clearances less than 50 mills per
3 minutes, the rate appears to be over 15 percent -- minus
4 15 percent compared to Vancomycin. And mine was the
5 comment of, why that appeared -- urine analysis appeared
6 to magnify that difference, and also how we should assess
7 that in terms of thinking about labeling.

8 DR. POHLMAN: I can explain it now. I think
9 there was a difference in terms of the population
10 definitions for clinically evaluable, in terms of, as I
11 mentioned, test-to-cure windows, compliance factors, some
12 center effects played into that, although that should be
13 taken out of -- that shouldn't be a difference between
14 the two analyses.

15 In addition, the patients -- when I was trying
16 to explain about the case report forms and the outcome
17 assessment -- or the investigator assessment at end of
18 therapy, when investigators assess people as
19 indeterminate, they could go on to have a -- an
20 assessment at test of cure and be cured, even if they got
21 concomitant antibiotics. So, what -- instead of going
22 through each -- what I did -- what I ended up doing was

Capital Reporting Company

Page 187

1 doing an algorithmic type approach. I looked at the
2 antibiotic that the patient received, what they -- what
3 organism they had, why they were, you know, being
4 considered -- whether I agreed with the assessment. And
5 I think clinical evaluability status changed on some
6 patients. Outcome assessment changed on some patients.
7 Patients who got concomitant antibiotics were considered
8 to be clinically-evaluable failures.

9 In addition, that was the -- in terms of the
10 late surgical procedures there were some changes. There
11 was no one predominant thing. But I think those -- that
12 did contribute to the change in numbers -- or the
13 difference. But I don't think it was all any one thing
14 in particular.

15 DR. RELLER: Dr. Cox will introduce the charge
16 to the committee before we deal specifically with the
17 three questions on which we shall vote.

18 Are we okay with regards to the open public
19 hearing?

20 There were no presenters at the open public
21 hearing.

22 DR. COX: Thank you, Barth.

Capital Reporting Company

1 DR. RELLER: And for this afternoon and
2 tomorrow, if there will be open public hearing, we will
3 have that open public hearing at the time designated,
4 even if it means coming back to questions.

5 DR. COX: Yeah. Thank you, Dr. Reller. I'll
6 keep the charge brief. I know we're tight on time. I
7 just note I want to thank all the presenters for this
8 morning's presentations. Throughout the sessions we've
9 covered a number of issues for the committee to
10 consider. We've talked about information on efficacy,
11 information on safety, including renal adverse events,
12 animal Reprotox studies, information on QT studies. And
13 we've also provided an update regarding some of the
14 regulatory history on this application, and talked about
15 some of the inspectional history, and then also the
16 regulatory status with regards to Europe.

17 We've also heard discussions on approaches to
18 risk management. So, this is, you know, information for
19 the committee to consider. The questions that we have
20 for you, number three, that we'd like to get your advice
21 on. And if I could have those questions up, Janie.

22 The first question asks the question with

1 regards to safety and efficacy for Telavancin for the
2 treatment of complicated skin and skin structure
3 infections, asking whether the data presented demonstrate
4 the efficacy of Telavancin for the treatment of
5 complicated skin and skin structure infections. After
6 your discussion we'd like you to vote yes or no.

7 And if your answer is yes, are there any
8 specific issues that should be addressed in labeling, and
9 whether you would recommend any further postmarketing
10 studies to further evaluate nephrotoxicity.

11 And if the answer is no, what additional data
12 or trials are needed?

13 The second question addresses the issue of use
14 in pregnancy and asks, are there any clinical situations
15 when the benefits of Telavancin use in a pregnant woman
16 would outweigh the risk? And we're -- we'd ask that you
17 vote yes or no on that.

18 And if there are situations where the benefits
19 would outweigh risks, we ask you to describe those
20 situations.

21 And then question three, we ask about risk
22 management strategy. Is a risk management strategy

Capital Reporting Company

Page 190

1 needed to prevent unintended use in pregnant women or
2 women of child bearing potential? And we'd like you to
3 vote yes or no on that.

4 And then also if your answer is yes, what
5 elements should be included in the risk management
6 program? And those are the three questions.

7 Thank you, Dr. Reller.

8 DR. RELLE: Question number one is now open
9 for discussion among the committee members. Dr. Lesar.
10 Yes. Please. I'm sorry. Go ahead.

11 DR. NELSON: Nelson.

12 DR. RELLE: Nelson first.

13 DR. NELSON: I know we look a like a little.

14 DR. RELLE: No. No. No. No. the signs
15 were switched a little bit. Dr. Nelson then
16 Dr. Septimus.

17 DR. NELSON: Yeah. I appreciate that. I
18 actually thought I was going to be one of the eight that
19 was waiting in the last session. I was a little
20 disappointed.

21 But I actually want to bring up a topic that
22 hasn't been discussed, which actually morphs into this

1 very well, and it's the question about QT. And one of
2 the questions I actually had and I hadn't really seen
3 addressed was the question of metabolism of this drug,
4 and, you know, whether or not that metabolism may have
5 any relationship to QTs. It does with many other drugs.
6 We know that the parent comp may or may not produce QT
7 prolongation, and the metabolite may or may not. And
8 they're not necessarily related.

9 So, I don't know if this is a question for the
10 sponsor or if it's just a question to just think about as
11 we move forward. But along those same lines, I know that
12 the sponsor in its labeling request had asked that this
13 drug not be combined with other agents that are known to
14 be QT prolongation -- that are known to cause QT
15 prolongation. But what's not addressed is whether or not
16 we should mandate the obtaining and checking of an
17 electrocardiogram for QT prolongation before and during
18 the time the drug is being given.

19 We know that in the world of QT prolonging
20 drugs, the vast majority of people with most drugs do not
21 develop QT prolongation. And there seems to be a
22 uniquely susceptible population of patients who you can

1 identify by having a prolonged QT syndrome, although most
2 don't, and many of them only develop QT prolongation when
3 they're challenged with a certain type of drug. Many
4 times those drugs are not picked up in premarketing
5 circumstances, and many of them wind up getting black box
6 warnings or getting removed from the market postmarketing
7 because of QT prolongation. So, it's a long statement,
8 but I think the question really is going to come down in
9 my mind for the QT, as to whether or not we're going to
10 be able to make it clear enough in the labeling that this
11 is a real risk, something we have to be incredibly
12 cognizant of as we move forward. Because it's going to
13 happen rarely, but when it happens it could be very
14 consequential.

15 DR. RELLER: Dr. Septimus.

16 DR. SEPTIMUS: I guess one is a point of
17 clarification of what the charge is in that first
18 question. Are we looking at the risk benefit ratio of
19 safety and effectiveness in that question in being able
20 to answer yes or no? Because clearly I think there's
21 been a fair amount of data shown about the effectiveness,
22 in terms of noninferiority trial. I have some concerns

1 about the efficacy about renal dysfunction and certain
2 underlying diseases. But then there's the toxicity
3 issue, which relays to the dysgeusia and the fetal
4 problems -- potential fetal problems, and potential for
5 nephrotoxicity and increasing nausea and vomiting. Do we
6 consider all of those issues in answering those
7 questions?

8 DR. COX: Yes. Yeah, it's a question of
9 looking at safety and efficacy, so, it would weigh all
10 the risks and all the benefits.

11 DR. SEPTIMUS: So, theoretically -- I'm not
12 saying this is how I may or may not vote -- if you felt
13 that the efficacy issue was not strong enough, but the
14 toxicity issue was -- had greater weight, you would vote
15 no on this question?

16 DR. COX: Yeah, because you would be
17 considering all the risks and benefits --

18 DR. SEPTIMUS: Okay.

19 DR. COX: -- as you wait -- make that
20 balancing.

21 DR. SEPTIMUS: I appreciate the clarification.

22 DR. RELLER: Dr. Kauffman.

Capital Reporting Company

Page 194

1 DR. KAUFFMAN: I just had a small question.
2 The sponsors can easily answer I'm sure. I was surprised
3 not to see anything about red man syndrome. And also is
4 there cross reactivity in patients who are hypersensitive
5 to Vancomycin? Can you actually use it in those patients
6 or not?

7 DR. BARRIERE: Sorry. The -- we looked at all
8 infusion related events in the complicated skin and skin
9 structure infection studies. Could we have our slide up,
10 please? And this included, not only what would -- the
11 investigators call red man syndrome, which didn't occur
12 that frequently, but also any other reevents that might
13 be considered infusion related; or typically like
14 Vancomycin, if you will, including itching, and flushing,
15 et cetera, et cetera. And the incidence was twice in the
16 Vancomycin group, about 10 percent for -- 11 percent for
17 Telavancin and 20 percent for Vancomycin.

18 DR. RELLER: Dr. -- Mr. Levin.

19 MR. LEVIN: So, I mean, following up on
20 Dr. Septimus' comments. I mean, I think we're sort of in
21 a difficult spot, because we -- yesterday we did a lot of
22 work, but we didn't address Dr. Paganini's challenge,

1 which was we found a margin that we sort of said was
2 okay, but we didn't deal with whether that margin would
3 change if we were getting a lot of safety problems,
4 signals on the drug under consideration, whether 10
5 percent was sort of all things equal, and 10 percent was
6 too high if all things weren't equal. And in this case
7 it seems maybe things -- all things aren't equal. We've
8 got a lot of safety signals from a lot of different
9 directions, QT, the fetal stuff, and renal. We don't --
10 you know, we don't have overwhelming evidence, but we
11 have a lot of signal, a lot of stuff coming at us. So, I
12 mean, I think it's -- we're sort of in a tough spot,
13 because we did the work yesterday, but we sort of didn't
14 do the next piece, which you suggested we need to do.

15 DR. RELLER: Dr. Fleming.

16 DR. FLEMING: So, these are really key issues
17 as we do talk. As Dr. Septimus and Levin had pointed
18 out, we did talk yesterday about margins, and we were
19 very explicit about how the margin is very specific to
20 the setting. And one of the aspects that it is sensitive
21 to is safety. And if one has an anticipated favorable
22 safety profile, that gives one more confidence to justify

1 using a 10 percent margin. When the reverse is true,
2 it's very problematic.

3 We've had significant discussion about
4 nephrotoxicity, QT prolongation, and safety risks in
5 pregnant women.

6 If one is -- I guess it's leading to a
7 question to Ed. In many settings it's not easy to
8 characterize where the risk resides, what types of
9 patients can you categorize where the risk resides, and
10 if you can, then you can use it in others. When you
11 can't, it really becomes especially problematic in the
12 spirit of what you're saying.

13 So, Ed, if we think we can characterize the
14 nature of patients who should be separated here, in terms
15 of nephrotoxicity risk, QT risk, and pregnancy risk, and
16 we think once you've separated those out in the remainder
17 of the population, then benefit to risk would be
18 favorable, then would we be voting yes?

19 DR. COX: Your question is a fair one. And
20 that is, are there things that can be done to effectively
21 manage the risk? And if there are things that can be
22 done, should you consider that? And my answer to that --

Capital Reporting Company

Page 197

1 excuse me -- would be yes. If there are things that can
2 be done to manage the risk, then, in fact, when you're
3 making your assessment, your assessment would be on the
4 assumption that those things would be in place and that
5 they would be part of managing those risks. So --

6 DR. RELER: Dr. Black.

7 DR. BLACK: There's one thing that's been
8 bothering me most of the morning is the concern -- which
9 I'd like some support from others who do this and I don't
10 -- is there really going to be more Vancomycin
11 resistance? Is there really going to be more MRSA that's
12 going to be Vancomycin resistant? We'd be approving a
13 drug with that possibility, so it's available should that
14 happen. And I'm not really sure where I go right now
15 with that. If there isn't going to be anymore, or there
16 isn't going to be anymore intermediate resistance where
17 you need another drug, then I think the safety signals
18 bother me.

19 I don't know who to address that to. There
20 are a lot of people who are infectious disease experts
21 here, and I'm not, so --

22 DR. RELER: Dr. Septimus, and then

1 Dr. Goetz. And we'll have the -- and after those two
2 we'll have the question.

3 DR. SEPTIMUS: I'll attempt to address that.
4 I think we are seeing MIC creep. And we are seeing VISA
5 and heterogenous resistance, a very little bit of VRSA,
6 but I think the potential is out there.

7 So, I think the answer is yes to that
8 question.

9 The other question I think that -- I think you
10 didn't ask was, are there alternative agents to
11 Vancomycin that are currently available that will give
12 approximately the same efficacy that may be safer than
13 the drug that's under consideration. I think that's
14 really what the discussion is going to be about in making
15 the determination on this drug.

16 DR. RELLER: Dr. Goetz. Oh, excuse me. Go
17 ahead.

18 DR. SEPTIMUS: Well, there are, I think as has
19 already been stated, and I just didn't want to -- but
20 there are some other drugs. But to answer your first
21 question, yes, there's increasing MIC creep, and other
22 issues with Vancomycin.

Capital Reporting Company

Page 199

1 DR. RELLER: Dr. Goetz.

2 DR. GOETZ: Briefly. I will endorse

3 everything Dr. Septimus said. Looking at national

4 databases, there's no question but Vancomycin MICs have

5 been increasing. Looking at our own experience in Los

6 Angeles, I'm frankly surprised by the fact that there

7 were so few isolates with an MIC of two in the studies

8 that were performed. And, yes, we also have concerns

9 about the alternative antimicrobials. I endorse these

10 statements made by the sponsor that there are concerns

11 about nasalid resistance and that Daptomycin may be an

12 imperfect drug for many of the patients with rising

13 Vancomycin MICs.

14 DR. RELLER: The fundamental purpose of the

15 Advisory Committee is to deal with difficult, complex

16 questions.

17 It's time for voting on number one, many

18 analogies to clinical decisions.

19 Please vote. You have 20 seconds --

20 UNIDENTIFIED SPEAKER: No, they don't have 20

21 seconds.

22 DR. RELLER: Oh, you have some time. Is

Capital Reporting Company

Page 200

1 everyone sure they've pressed the right button?

2 UNIDENTIFIED SPEAKER: It's the last one they
3 hit that counts.

4 DR. RELLER: The last one pressed is what
5 counts.

6 Everyone is certain about the button that they
7 pressed?

8 The vote is locked in. We'll see shortly the
9 results.

10 The results of this vote are 21 yes and 5 no.
11 We'll start at the left side this time and go around to
12 those who voted and why they voted as they did.

13 Dr. Katona.

14 DR. KATONA: I voted no. Looking at all of
15 these problems, the renal, the QT, the fetal
16 abnormalities, in and of themselves I didn't see them as
17 problems, but put together as a whole, together with a --
18 some data integrity problems, I felt uncomfortable giving
19 it a complete yes. I was most bothered by this less
20 response to baseline renal impairment when levels are
21 expected to be high. I didn't quite have a good
22 explanation for why that was.