

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH (CDER)

JOINT MEETING OF THE
ANESTHETIC & LIFE SUPPORT DRUGS ADVISORY COMMITTEE
AND THE
DRUG SAFETY & RISK MANAGEMENT ADVISORY COMMITTEE

Friday, November 14, 2008

Gaithersburg, Maryland

1 PARTICIPANTS:

2 ANESTHETIC & LIFE SUPPORT ADVISORY
3 COMMITTEE MEMBERS (voting)

4 JOHN T. FARRAR, M.D. (Chair)
5 University of Pennsylvania

6 JEFFREY R. KIRSCH, M.D.
7 Oregon Health & Science University

8 NANCY A. NUSSMEIER, M.D.
9 State University of New York
10 Upstate Medical University

11 JULIA E. POLLOCK, M.D.
12 University of Washington Medical Center

13 BARTHOLOMEW TORTELLA, M.T.S, M.D., M.B.D.
14 Industry Representative (Non-voting)
15 Novo Nordisk, Inc.

16 DANIEL ZELTERMAN, Ph.D.
17 Yale University School of Medicine

18 ATHENA ZUPPA, M.D.
19 The Children's Hospital of Philadelphia

20 DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE
21 MEMBERS (voting)

22 D. BRUCE BURLINGTON, M.D.
Industry Representative (non-voting)

JUDITH M. KRAMER, M.D., M.S.
Duke Medical Center

TIMOTHY S. LESAR, Pharm.D.
Albany Medical Center

SIDNEY WOLFE, M.D.
Consumer Representative

202-464-2400

800-522-2382

1 TEMPORARY VOTING MEMBERS:
2 SORIN BRULL, M.D.
3 Mayo Clinic
4 RICHARD A. DENISCO, M.D., M.P.H.
5 National Institute on Drug Abuse
6 HARRIET de WIT, Ph.D.
7 University of Chicago
8 ROBERT KERNS, Ph.D.
9 Yale University School of Medicine
10 SUSAN KRIVACIC
11 Patient Representative
12 Austin, Texas
13 KARL LORENZ, M.D., M.S., H.S.
14 UCLA VA Greater Los Angeles Healthcare System
15 OSEMOWOTA A.J. OMOIGUI, M.D.
16 Acting Consumer Representative (ALSDAC)
17 Hawthorne, California
18 LEONARD J. PAULOZZI, M.D., M.P.H.
19 Centers for Disease Control
20 JACK ROSENBERG, M.D.
21 University of Michigan Hospitals
22 MICHAEL YESENKO
23 Patient Representative
24 Rockville, Maryland

202-464-2400

800-522-2382

1 P R O C E E D I N G S

2 (9:15 a.m.)

3 DR. KIRSCH: Good morning. I'm going
4 to call to order this meeting. My name is
5 Jeffrey Kirsch. I'm the Acting Chair of the
6 Committee for today, and I have a little thing
7 that I have to read.

8 It says for topics such as those
9 being discussed at today's meeting, there are
10 often a variety of opinions, some of which
11 are quite strongly held. Our goal is that
12 today's meeting will be a fair and open forum
13 for discussion of these issues, and that
14 individuals can express their views without
15 interruption.

16 Thus, as a gentle reminder,
17 individuals will be allowed to speak into the
18 record only if recognized by the Chair. We
19 look forward to a productive meeting.

20 In the spirit of the Federal
21 Advisory Committee Act and the Government in
22 the Sunshine Act, we ask that the Advisory

202-464-2400

800-522-2382

1 Committee members take care that their
2 conversations about the topic at hand take
3 place in the open forum of the meeting.

4 We are aware that members of the
5 media are anxious to speak with the FDA about
6 these proceedings; however, the FDA will
7 refrain from discussing the details of this
8 meeting with the media until its conclusion.

9 Also, the Committee is reminded to
10 please refrain from discussing the meeting
11 topic during breaks or lunch.

12 Thank you.

13 I'd also like to ask the members of
14 the audience and the members of the panel to
15 please silence pagers and cell phones so
16 we're not interrupted.

17 And I would like to start the
18 meeting with an introduction of the people
19 who are on the Committee, and we'll start to
20 my right, with Dr. Rosebraugh.

21 DR. ROSEBRAUGH: Kirk Rosebraugh,
22 director, Office of Drug Evaluation II.

202-464-2400

800-522-2382

1 DR. RAPPAPORT: Bob Rappaport,
2 director, Division of Anesthesia, Analgesics &
3 Rheumatology Products.

4 DR. HERTZ: Sharon Hertz, deputy
5 director, Division of Anesthesia, Analgesics &
6 Rheumatology Products.

7 DR. DeWIT: Harriett Dewit, Department
8 of Psychiatry at the University of Chicago, and
9 I'm a temporary voting member.

10 DR. KERNS: Morning, I'm Bob Kerns.
11 I'm the National Program Director for Pain
12 Management for VHA, and I'm a professor in the
13 Department of Psychiatry and Neurology at Yale
14 University School of Medicine, and I'm a
15 temporary voting member.

16 DR. ROSENBERG: Jack Rosenberg. I'm
17 an addictionist and pain management specialist
18 at the University of Michigan, Department of
19 Anesthesia and Rehab in Ann Arbor VA in
20 Michigan. I'm a temporary member.

21 MR. YESENKO: Michael Yesenko, patient
22 representative.

202-464-2400

800-522-2382

1 DR. WOLFE: Syd Wolfe, Public
2 Assistance and Health Research Group, member of
3 the Drug Safety and Risk Management Advisory
4 Committee.

5 DR. KRAMER: I'm Judith Kramer. I'm
6 Associate Professor of Medicine at Duke
7 University general internal medicine and
8 clinical research, both clinical trials and
9 observational studies of drug safety and
10 effectiveness, and member of the Drug Safety And
11 Risk Management Advisory Committee.

12 MS. BHATT: Good morning. I'm Kalyani
13 Bhatt. I'm the designated federal official for
14 the Advisory Consultant staff.

15 DR. KIRSCH: Again, I'm Jeffrey
16 Kirsch. I'm the professor and chair of the
17 Department of Division of Anesthesiology at
18 Oregon Health Science University, and the acting
19 chair.

20 DR. PAULOZZI: Leonard Paulozzi,
21 Federal Centers for Disease Control and
22 Prevention, and I'm a temporary voting member.

202-464-2400

800-522-2382

1 DR. BRULL: I'm Sorin Brull. I'm a
2 professor of anesthesiology at Mayo Clinic, and
3 I'm a temporary voting member of the Anesthetic
4 and Life Support Drugs.

5 DR. ZELTERMAN: I'm Dan Zelterman,
6 professor of biostatistics at Yale. I'm a
7 member of the Anesthetic Committee.

8 DR. KRIVACIC: I'm Susan Krivacic.
9 I'm a patient representative on both committees.

10 DR. DENISCO: I'm Richard Denisco,
11 medical officer at National Institute of Drug
12 Abuse, National Institutes of Health, and a
13 temporary voting member of the Drug Safety.

14 DR. LESAR: Timothy Lesar, director of
15 Clinical Pharmacy Services at Albany Medical
16 Center in Albany, New York. I'm a member of the
17 Drug Safety and Risk Management Committee.

18 DR. ZUPPA: Good morning. I'm Athena
19 Zuppa. I'm an assistant professor of Pediatric
20 Critical Care and Clinical Pharmacologist at the
21 Children's Hospital of Philadelphia. I'm a
22 voting member of the Anesthesia Committee.

202-464-2400

800-522-2382

1 DR. LORENZ: Hello. I'm Karl Lorenz.
2 I'm a health services researcher, palliative
3 medicine, physician and general internist with
4 the VA Greater Los Angeles -- UCLA and Rand
5 Health. I'm a temporary voting member.

6 DR. NUSSMEIER: Good morning, I'm
7 Nancy Nussmeier. I'm chair of Anesthesiology at
8 SUNY Upstate Medical University in Syracuse, and
9 I'm a voting member of the Anesthetic Committee.

10 MS. POLLOCK: I'm Julie Pollock. I'm
11 an anesthesiologist at Virginia Mason Medical
12 Center in Seattle, Washington, and I'm a member
13 of the Anesthetic Committee.

14 DR. TORTELLA: Bartholomew Tortella,
15 industry representative to the Anesthetic
16 Committee.

17 DR. BURLINGTON: I'm Bruce Burlington.
18 I consult with the pharmaceutical industry. I'm
19 a non-voting industry representative to the Drug
20 Safety and Risk Management Committee.

21 DR. KIRSCH: And I would like to
22 recognize Dr. Francis, please.

202-464-2400

800-522-2382

1 DR. FRANCIS: Dr. Skip Francis, deputy
2 director, Office of Surveillance in Epidemiology
3 at FDA.

4 DR. KIRSCH: Next, I will ask Kalyani
5 Bhatt to read the conflict of interest
6 statement.

7 DR. BHATT: The Food and Drug
8 Administration, FDA, is convening today's joint
9 meeting of Anesthetic and Life Support Drugs and
10 The Drug Safety and Risk Management Advisory
11 Committees under the authority of the Federal
12 Advisory Committee Act of 1972.

13 With the exception of the industry
14 representative, all members and temporary
15 voting members of the committees are special
16 government employees, SGEs, or regular
17 federal employees from other agencies, and
18 are subject to federal conflict of interest
19 laws and regulations.

20 The following information on the
21 status of the Committee's compliance of
22 federal ethics and conflict of interest laws

202-464-2400

800-522-2382

1 covered by but not limited to those found at
2 18 USC Section 208 and 712 of the Federal
3 Food and Drug Cosmetic Act is being provided
4 to participants in today's meetings and to
5 the public.

6 FDA has determined that members and
7 temporary voting members of these committees
8 are in compliance with federal ethics and
9 conflict of interest laws. Under 18 USC
10 Section 208, Congress has authorized FDA to
11 grant waivers to special government employees
12 and regular federal employees who have
13 potential financial conflicts when it's
14 determined that the agency's need for a
15 particular individual's service outweighs
16 this potential financial conflict of
17 interest.

18 Under Section 712 of the FD&C Act,
19 Congress has authorized FDA to grant waivers
20 to special government employees and regular
21 federal employees with potential financial
22 conflicts when necessary to afford the

202-464-2400

800-522-2382

1 Committee's essential expertise.

2 Related to the discussion in
3 today's meeting, members and temporary voting
4 members of these committees have been
5 screened for potential financial conflicts of
6 interest of their own as well as those
7 imputed to them, including those of their
8 spouses and minor children, and for purposes
9 of 18 USC 208, their employers. Those
10 interests may include investments,
11 consulting, expert witness testimony,
12 contract grants, CRADA, teaching, speaking,
13 writing, patents, royalties and primary
14 employment.

15 Today's agenda involves discussion
16 of new drug application NDA 22-321, EMBEDA
17 capsules, sponsored by Alharma
18 Pharmaceuticals, LLC, and its safety for the
19 proposed indication of management of moderate
20 to severe chronic pain.

21 The naltrexone component of this
22 formulation is intended to mitigate abuse of

202-464-2400

800-522-2382

1 the product when attempts are made to defeat
2 the control or use properties of the
3 formulation. This is a particular matter
4 meeting during which specific matters related
5 to EMBEDA will be discussed.

6 Based on the agenda for today's
7 meeting and all financial interests reported
8 by the Committee members and temporary voting
9 members, no conflict of interest waivers have
10 been issued in connection with this meeting.

11 With respect to FDA's invited
12 industry representatives, we would like to
13 disclose that Drs. Bartholomew Tortella and
14 Bruce Burlington are participating in this
15 meeting as non-voting industry
16 representatives acting on behalf of regulated
17 industry.

18 Drs. Tortella and Burlington's role
19 at this meeting is to represent industry in
20 general and not any particular company.
21 Dr. Bartholomew is employed by Novo Nordisk,
22 and Dr. Burlington is an independent

202-464-2400

800-522-2382

1 pharmaceutical consultant.

2 We would like to remind members and
3 temporary voting members that if a discussion
4 involves any other products not already on
5 the agenda for which an FDA participant has a
6 personal or imputed financial interest, the
7 participants need to exclude themselves from
8 such involvement, and their exclusion will be
9 noted for the record.

10 FDA encourages all other
11 participants to advise the Committee of any
12 financial relationships that they may have
13 with any firms at issue.

14 Thank you.

15 DR. KIRSCH: Thank you. I'll now
16 recognize Dr. Rappaport for some opening
17 remarks.

18 DR. RAPPAPORT: Good morning.

19 Dr. Kirsch, members of the
20 Anesthesia and Life Support Drugs and Drug
21 Safety Risk Management Advisory Committees
22 and invited guests, thank you for returning

202-464-2400

800-522-2382

1 to this second day of discussion of abused
2 opioid products.

3 Today, we will be discussing
4 Alpharma's application for EMBEDA, a novel
5 formulation of morphine developed to provide
6 abuse resistance by a completely different
7 mechanism than the product we discussed
8 yesterday.

9 EMBEDA's formulation contains an
10 additional potentially active ingredient, the
11 opiate antagonist naltrexone, which is
12 intended to result in a reduction of the
13 euphoria that abusers expect from a potent
14 opioid if the extended release formulation is
15 crushed or manipulated for the purpose of
16 either oral or parenteral abuse.

17 As I said yesterday, we need your
18 assistance to assess the value of these types
19 of formulation changes intended to reduce
20 abuse, and what metrics should be employed to
21 measure these effects; which populations of
22 abusers might benefit from these changes; and

202-464-2400

800-522-2382

1 how to safely include the pertinent
2 information and product labeling so that it
3 will inform prescribers and patients about
4 the value added by these changes, and not
5 mislead them about the possible impact on
6 actual abuse in the community, and not
7 provide instructions for addicts and drug
8 dealers that will allow them to more easily
9 overcome the changes to the formulation.

10 As I also said yesterday, these are
11 tough questions. I suspect that after
12 Thursday's presentations and discussion, you
13 likely now understand just how difficult it
14 is for us to find answers to these questions.

15 And after listening to the
16 heartbreaking stories during yesterday's open
17 public hearing, I would guess that you now
18 also recognize the importance of finding
19 answers as soon as possible, no matter how
20 difficult the questions.

21 Too many of our friends and loved
22 ones are still suffering from

202-464-2400

800-522-2382

1 inadequately-treated pain, pain that destroys
2 any value in their lives, and not
3 infrequently results in suicide, and too many
4 of our friends and loved ones have suffered
5 or died due to the abuse of prescription
6 opioid drug products, and the addiction,
7 overdose and death that follows from this
8 abuse.

9 Both the parents were forever
10 haunted by the memory of their child who died
11 after an unintended and unnecessary overdose,
12 and the patients who continue to suffer
13 agonizing pain due to the stigma preventing
14 their access to the drugs they need, speak
15 passionately about their experiences.

16 They need to listen to each other
17 and we need to keep their voices, both
18 voices, present in our minds at all times as
19 we try to find a path forward. We're asking
20 that you as experts in pain management
21 addiction treatment, risk management and
22 related disciplines to think outside the box

202-464-2400

800-522-2382

1 to help us sort through these challenges and
2 find answers to our questions. Help us find
3 the path, the best path, to assure the
4 continued availability of potent opioid
5 analgesic drug products for legitimate pain
6 patients, and at the same time limit the
7 impact of their availability and hopefully
8 combat the abuse, misuse, overdose, addiction
9 and death that continue to be associated with
10 these products.

11 In this room today, we have
12 innumerable combined years of experience;
13 experience in research on and clinical
14 treatment of pain, and experience and
15 research on clinical treatment of addiction.
16 We also have expertise on drug extractability
17 and risk/benefit analysis.

18 After today's presentations by
19 Alpharma and FDA, we will be asking you the
20 same questions we did yesterday. As you
21 contemplate and discuss, think outside the
22 box, please.

202-464-2400

800-522-2382

1 DR. KIRSCH: Thank you. Now it's time
2 for the sponsors' presentations, and I'll
3 recognize Dr. Joe Stauffer.

4 DR. STAUFFER: Good morning. My name
5 is Joe Stauffer. I'm the Chief Medical Officer
6 and Senior Vice President of Clinical Research
7 in Medical Affairs at Alpharma. I would like to
8 thank the FDA and Advisory Committees for giving
9 us the opportunity to present our data on the
10 ALO-01.

11 We are here today to present
12 relevant information and ask for your
13 positive recommendation for ALO-01, an
14 extended release morphine medication for
15 patients suffering with minor to severe
16 chronic pain.

17 ALO-01 is an oral formulation in
18 doses ranging from 20 to 100 mg. These
19 capsules contain individual pellets of
20 morphine with a sequestered core of
21 naltrexone, an opiate receptor antagonist.
22 If the pellets are crushed, as a drug abuser

202-464-2400

800-522-2382

1 often does to get a faster high, the
2 naltrexone is released, reducing the euphoric
3 effects of the morphine, as we will
4 demonstrate in our presentation.

5 We will also demonstrate that
6 ALO-01 provides safe and effective pain
7 relief over 12 to 24 hours, that when taken
8 as directed, there is negligible exposure to
9 naltrexone and no adverse clinical effects.
10 And finally, the ALO-01 has demonstrated
11 bioequivalence and similar effectiveness to
12 Kadian, Alpharma's existing long-acting
13 morphine.

14 We are also here in part today
15 because government and law enforcement have
16 encouraged the pharmaceutical industry to
17 develop new formulations to address
18 prescription opioid use. Last March, in
19 testimony to Congress on transient
20 unintentional drug overdose, the CDC
21 identified opioid pain relievers as a driver
22 for recent large increases in overdose

202-464-2400

800-522-2382

1 deaths, and they called on drug manufacturers
2 to modify opioid painkillers to make them
3 more difficult to tamper with, and/or combine
4 them with agents that block the effect of the
5 opioid if it is dissolved and injected.

6 These are complex problems requiring
7 multifaceted solutions.

8 ALO-01 is one tool, one new
9 resource for the medical community to
10 consider. As Dr. Katz will discuss in a few
11 moments, the temptation to abuse opioids
12 affects patients with pain as well as a
13 significant portion of the general
14 population, resulting in numerous serious
15 consequences.

16 We know that one way people abuse
17 long-acting opioids is simply by crushing
18 them in order to gain quick access the
19 euphoric effects of the drug. ALO-01 was
20 developed to minimize the high abusers are
21 seeking when the product is misused.
22 Alpharma also recognizes the potential risks

202-464-2400

800-522-2382

1 inherent in a product like ALO-01. As a
2 company, we are committed to careful,
3 thoughtful and responsible marketing of this
4 product. We have an ongoing risk management
5 program that we initiated with Kadian. And
6 are expanding this plan to include a risk
7 evaluation and mitigation strategy, or REMS,
8 with ALO-01. We will share the details of
9 our program later in this presentation.

10 Now, here is our agenda. Dr.
11 Nathaniel Katz will present the public health
12 need for a prescription opioid formulation
13 that addresses prescription opioid abuse and
14 the potential benefit of such a formulation.

15 Dr. Bill Vincek will share the
16 results of our in vitro tampering studies on
17 ALO-01.

18 Dr. Donald Manning will then review
19 the results from the clinical trial program.
20 The in vivo abuse liability studies will be
21 presented by Dr. Sandra Comer.

22 I will return to outline our

202-464-2400

800-522-2382

1 comprehensive risk management program for
2 ALO-01, including our REMS. I'll then wrap
3 up our presentation and field any questions
4 from the Committees.

5 Also with us today are Dr. Bill
6 Schmidt, an expert in the field of naltrexone
7 pharmacology, and Dr. Annette Stonehaggen, an
8 expert in risk management.

9 I will now turn the lecture over to
10 Dr. Katz.

11 DR. KATZ: Good morning.

12 For going on two decades now as a
13 physician and clinical researcher focused on
14 chronic pain and prescription opioid abuse,
15 today I'm here to provide you a little bit
16 more information on the rationale for the
17 development of abuse-deterrent opioid
18 formulations, to help inform your
19 deliberations.

20 As a framing comment, it's
21 important for all of us to keep in the front
22 of our minds that prescription opioid abuse

202-464-2400

800-522-2382

1 is more, as we know, than just data on
2 slides. But as we heard in the open session
3 yesterday, it affects many people's lives in
4 a devastating way.

5 Since we're here in Maryland, I
6 though I would point out that according to
7 CDC data, there are approximately two deaths
8 per day in this state alone related to
9 prescription opioid overdoses, so if these
10 rates hold true during these two days of our
11 deliberations, an additional four more people
12 will die related to prescription opioid
13 overdoses, and another four more will die in
14 my home state of Massachusetts, which happens
15 to have about the same rate of deaths due to
16 prescription opioid overdoses as here in
17 Maryland.

18 So I therefore hope that you share
19 my sense of urgency about making some
20 progress, even if it's incremental, toward a
21 solution to this problem.

22 During my presentation, I will make

202-464-2400

800-522-2382

1 the following key points. The first, as you
2 already know, prescription opioid abuse is a
3 major problem. Tampering to alter the method
4 of ingestion is an important part of this
5 problem, particularly with respect to the
6 extended release opioid formulations.

7 Both patients and non-patients
8 tamper with their prescription opioids and
9 suffer the consequences. By extension,
10 abuse-deterrent formulations, if they are
11 prescribed appropriately, have the potential
12 to provide meaningful and measurable benefits
13 to both patients and non-patients.

14 Since you already heard
15 comprehensive presentations yesterday on
16 prescription opioid abuse in general, today
17 I'm going to get right to the point about the
18 role of tampering in prescription opioid
19 abuse among both patients and non-patients.

20 These data come from the ASINV
21 connect database, a national database
22 consisting primarily of individuals admitted

202-464-2400

800-522-2382

1 for a substance abuse treatment, and are
2 provided to me courtesy of Steve Butler from
3 Inflection.

4 This database is useful for our
5 purpose today for a couple of different
6 reasons. First, it provides product-specific
7 groups of abuse for each specific opioid
8 product which are otherwise not available.
9 And secondly, it allows respondents to
10 indicate more than one route of abuse. This
11 is important because most abusers take
12 opioids orally most of the time but many also
13 snort and inject, et cetera.

14 These tampering behaviors would be
15 missed in a data set that allows respondents
16 to indicate only one route of ingestion.
17 These data confirm that the most common route
18 of ingestion is indeed oral, but that
19 tampering, including snorting and injecting,
20 is very common, and also varies a great deal
21 from one product to another.

22 For example, with Hydrocodone,

202-464-2400

800-522-2382

1 which is, as we heard yesterday, the most
2 commonly-prescribed medication of any kind in
3 the United States, snorting is uncommon and
4 injection is quite rare.

5 On the other hand, when one looks
6 at the extended release opioid products, a
7 very different picture emerges. In the case
8 of extended-release morphine, which is shown
9 on this slide here, the majority of patients
10 in treatment for substance abuse -- to
11 prescription opioid abuse -- report that
12 they're snorting or injecting their
13 medication. The majority.

14 It is also important to notice here
15 on this slide that about 20 percent of these
16 individuals report chewing their medication
17 before they swallow it in the context of
18 abuse. Most databases do not distinguish
19 between swallowing the intact formulation and
20 chewing it before you're swallowing it, and
21 therefore, miss this simple but important
22 form of tampering.

202-464-2400

800-522-2382

1 The next question is whether
2 altering the route of ingestion of
3 prescription opioids has consequences, and
4 the answer is that yes, it does have
5 consequences. Here, for example, we see data
6 comparing individuals in a large claims
7 database who appear to be prescription opioid
8 abusers versus controlled individuals who do
9 not appear to be prescription opioid abusers.
10 The risks of injection-related diseases,
11 including hepatitis, HIV-AIDS and others, are
12 much more common in the opioid abuser group.

13 Another critical important question
14 is about tampering in patients, since most
15 abusers are not patients. The only data that
16 I'm aware of on tampering among chronic pain
17 patients who are on opioid therapy is the
18 data on this slide, which is again provided
19 to me courtesy of Steve Butler from the
20 Inflection group.

21 These particular patients
22 represented on this slide are in treatment

202-464-2400

800-522-2382

1 for prescription opioid addiction, and also,
2 they are receiving opioids from other
3 physicians for the management of pain. As is
4 shown here, about half of these patients
5 indicate that they tamper with their
6 prescribed opioids.

7 Among the patients who abuse
8 extended release products, the rate of
9 tampering in this population is much higher,
10 in the 70 to 80 percent range. Of course,
11 these are patients who are in addiction
12 treatment, and we cannot generalize these
13 data to patients who are not in addiction
14 treatment whose rates of tampering are
15 undoubtedly much lower than what we see here.

16 However, at least in this group of
17 pain patients, we can see that tampering is
18 very common, and whether we like it or not,
19 co-morbid addiction is actually very common
20 among individuals with chronic pain,
21 especially those on opioid therapy.

22 So the relevance of these data to

202-464-2400

800-522-2382

1 pain management practice should not be too
2 quickly dismissed.

3 The relationship between tampering
4 and the progression of addiction was examined
5 in the study of OxyContin abusers in Kentucky
6 that many of you are probably familiar with.
7 These investigators found that the route of
8 ingestion changed over time, progressing from
9 oral abuse to snorting and intravenous abuse,
10 and illustrating that the progression of
11 addiction to prescription opioids is
12 associated with the progression of tampering
13 as well. Therefore, it is possible that
14 opioid formulations that cannot be easily
15 defeated for the purpose of altering their
16 route of ingestion could inhibit progression
17 along this trajectory of addiction.

18 In summary, while the data in this
19 area are far from complete, a few things are
20 clear. The risks of tampering are relevant
21 to both patients receiving opioids for pain
22 and non-patients who obtain their medications

202-464-2400

800-522-2382

1 from other sources.

2 Tampering is common and is
3 associated with the progression of addiction,
4 as well as a variety of other serious health
5 consequences. This schema provides a
6 framework for considering the potential
7 benefits of formulations that resist
8 tampering, and illustrates who might benefit,
9 what those benefits might be and how to begin
10 to approach the measurement of those
11 benefits, with the ultimate goal, of course,
12 being to optimize the balance between the
13 access of opioids for the treatment of pain
14 and minimizing prescription opioids abuse in
15 its consequences.

16 I believe Dr. Bill Vincek is next.

17 Thank you.

18 DR. VINCEK: Thank you, Dr. Katz, and
19 good morning.

20 Dr. Katz talked about the need for
21 abuse-deterrent formulations. My
22 presentation will focus on how we designed

202-464-2400

800-522-2382

1 ALO-01 to block the effects of morphine.

2 I'll begin by explaining the
3 objectives of our formulation development
4 program. Our first objective is to select a
5 fixed dose combination of morphine and its
6 antagonist, naltrexone, to minimize systemic
7 exposure of naltrexone when ALO-01 is taken
8 as directed, while maximizing naltrexone's
9 effect on morphine-induced euphoria when the
10 product is crushed.

11 Second, to demonstrate the release
12 of morphine and naltrexone from both crushed
13 ALO-01 and intact ALO-01 pellets when
14 subjected to a variety of extraction
15 conditions.

16 Before I describe how we selected
17 our formulation ratio, I want to highlight a
18 couple of unique features of the product and
19 touch on the pharmacologic mechanism of
20 action. Each individual identical pellet is
21 designed so that when pressure is applied to
22 the outside membrane, the inner coat

202-464-2400

800-522-2382

1 surrounding the naltrexone also is crushed,
2 releasing both naltrexone and morphine.

3 When the drug is crushed, both are
4 released simultaneously from each pellet.
5 Inside the brain, the naltrexone and morphine
6 compete for the same opioid receptors. Here,
7 the naltrexone is represented in the pink
8 circle, and morphine is represented by the
9 green circle.

10 When the naltrexone binds with the
11 opioid receptor, it completely competitively
12 blocks the binding of morphine, reducing the
13 euphoric effects that the abuser is looking
14 to achieve. Shown in the top right panel is
15 the PK of an extended release morphine
16 product. In the lower right panel, you can
17 see the time to peak blood concentrations
18 after the ALO-01 pellets are crushed and
19 swallowed.

20 Note that the morphine peak maximum
21 shifts to about one hour, versus a peak of
22 about eight hours for the extended release

202-464-2400

800-522-2382

1 morphine above. Also note that naltrexone
2 and morphine show nearly identical times to
3 peak maximum.

4 Now, I'll explain our strategy or
5 the determining the optimum formulation ratio
6 of naltrexone to morphine in Study 201.
7 Study 201 was designed to the guide our
8 formulation ratio selection, which again was
9 based on minimizing systemic exposure to
10 naltrexone while maximizing naltrexone's
11 effect on morphine-induced euphoria.

12 This double blind
13 placebo-controlled study evaluated five
14 naltrexone to morphine ratios versus morphine
15 and placebo. All doses were given as oral
16 solutions. Two critical measures were
17 evaluated. First, naltrexone's
18 pharmacokinetic profile. Second, drug
19 liking, as measured by the visual analog
20 scale of drug effects questionnaire, or
21 revised DEQ.

22 Please note that the next two

202-464-2400

800-522-2382

1 slides are not in your briefing books.

2 First, I show you the
3 pharmacokinetic profile of three of the
4 naltrexone ratios that we tested. We plotted
5 the mean plasma naltrexone concentration in
6 picograms per ml on the Y axis against time
7 in hours on the X axis. The blue line
8 represents the highest naltrexone to morphine
9 ratio tested. The eight percent naltrexone
10 ratio is shown in yellow, and the ratio we
11 selected for ALO-01, four percent, is shown
12 in green.

13 To put these concentrations in
14 context, let's take a look at the plasma
15 concentration from an oral naltrexone dose
16 from the marketed product Revia. The normal
17 dose of Revia is 50 mg orally, which results
18 in a plasma concentration of about 9,000
19 picograms per ml, while an effective dose of
20 naltrexone for IV heroin users has a
21 concentration of about 2,000 picograms per
22 ml.

202-464-2400

800-522-2382

1 So from a safety standpoint, we
2 want to have the lowest effective dose of
3 naltrexone -- from an abuse-deterrent
4 standpoint, we want to test to see if the
5 dose will abate drug liking. The vast scale
6 evaluating drug liking and disliking -- the
7 four percent and eight percent ratios seen in
8 green and yellow were the lowest naltrexone
9 ratios that reliably attenuated
10 morphine-induced euphoria.

11 As you see, the eight percent
12 ratio, while providing double the amount of
13 naltrexone, did not provide significantly
14 greater reduction in drug liking. Thus, we
15 chose four percent as the optimum ratio.
16 Based on these data, we created the unique
17 ALO-01 multilayer pellets. Then we conducted
18 extractability studies on ALO-01. In this
19 series of studies, the extraction of morphine
20 and naltrexone from intact pellets was
21 demonstrated in a variety of solvents.

22 Now, we will provide a summary of

202-464-2400

800-522-2382

1 these data which were discussed in detail in
2 the closed session. Here in the middle
3 column, you see the results of our
4 experiments to selectively extract morphine
5 from our pellets using seven different
6 solvents.

7 In the right-hand column, you see
8 that we examined these ratios to determine
9 ALO-01's potential to minimize oral and IV
10 abuse under each of these extraction
11 conditions. Our conclusions were based on
12 the time it took to obtain an abusable
13 concentration or amount of morphine, the
14 extraction of naltrexone and the number of
15 steps required.

16 Next, the extraction of morphine
17 and naltrexone from crushed pellets was
18 demonstrated in water, acid, base and alcohol
19 after soaking or stirring at room temperature
20 over time. Here are the results. On the Y
21 axis, you see the percentage of the morphine
22 and naltrexone released. On the X axis, we

202-464-2400

800-522-2382

1 note the extraction conditions.

2 Morphine is shown in light blue;
3 naltrexone in red. The data on this slide
4 demonstrate that when ALO-01 pellets are
5 crushed and exposed to a variety of
6 conditions, essentially, you get proportional
7 extraction of morphine and naltrexone.

8 To conclude, we have shown through
9 in vivo studies that a four percent
10 naltrexone to morphine ratio minimizes
11 systemic exposure of naltrexone while
12 maximizing naltrexone's effect on
13 morphine-induced drug liking.

14 Through in vitro studies, we have
15 demonstrated that crushing ALO-01
16 non-selectively releases both naltrexone and
17 morphine, and that under certain conditions,
18 meaningful amounts of morphine can be
19 selectively extracted from ALO-01 intact
20 pellets.

21 However, the potential for
22 co-extracting naltrexone with morphine

202-464-2400

800-522-2382

1 suggests that ALO-01 is a less attractive
2 option to opioid abusers.

3 Dr. Manning will now present data
4 from our clinical program.

5 DR. MANNING: Good morning.

6 Our clinical development program
7 for ALO-01 was based on several objectives.
8 One, to show the bioequivalence of ALO-01 to
9 Kadian. Two, to demonstrate that pain relief
10 with ALO-01 is equivalent to Kadian and
11 superior to placebo. Three, to show that the
12 addition of naltrexone does not compromise
13 either the efficacy or safety. And four, to
14 demonstrate that the naltrexone released when
15 ALO-01 is crushed blunts morphine-induced
16 euphoria.

17 Our clinical development program
18 consisted of 12 studies, enrolling 262
19 patients in Phase I, and 1,127 patients in
20 Phases II and III. In this presentation, I
21 will focus on efficacy and safety studies in
22 yellow, starting with Study 202, the first

202-464-2400

800-522-2382

1 multiple dose ALO-01 study.

2 This study used a randomized
3 crossover design which allowed us to evaluate
4 morphine and naltrexone pharmacokinetics, as
5 well as assess early safety and efficacy in
6 comparison to KADIAN. Patients in pain flare
7 from hip or knee osteoarthritis were
8 individually optimized for analgesia over 28
9 days on KADIAN, up to an allowed maximum of
10 320 mg per day.

11 Subsequently, all patients received
12 14-day double blind treatment with both
13 ALO-01 or KADIAN at separate crossover
14 periods. The intermediate period crossover
15 was on open-labeled KADIAN, to avoid opioid
16 withdrawal syndrome. Pharmacokinetic
17 analysis showed that ALO-01 had similar
18 steady state morphine bioavailability to
19 KADIAN at a medium dose of 40 mg BID. These
20 data complement and are consistent with those
21 of the formal bioequivalent study 101.

22 Study 202 also assessed clinical

202-464-2400

800-522-2382

1 effectiveness, and showed ALO-01 relieves
2 pain equivalent to KADIAN. During the
3 open-label titration period, KADIAN
4 significantly reduced pain for an average of
5 7.1 at flare to 2 on an 11 point scale. This
6 release was sustained over two weeks
7 regardless of which drug patients were
8 randomized to, KADIAN or ALO-01.

9 Armed with these preliminary
10 efficacy data, we designed our efficacy
11 Study 301 using a randomized withdrawal
12 design under a special protocol assessment
13 agreement with the FDA. Patients with
14 osteoarthritis pain of the hip or knee were
15 optimized for analgesia on ALO-01 for up to
16 six weeks, and an allowed maximum of 160 mg
17 per day.

18 They were then randomized to
19 placebo or continued ALO-01 and engaged in a
20 14-day taper to avoid un-blinding due to
21 opioid withdrawal. They were maintained on
22 their therapy for an additional 70 days, then

202-464-2400

800-522-2382

1 tapered off to the original analgesic
2 medication.

3 Assessments included Brief Pain
4 Inventory pain scales, WOMAC Osteoarthritis
5 Index, laboratory studies and recording of
6 adverse events. The primary efficacy measure
7 was change in average pain score from
8 randomization baseline to 12 weeks. Missing
9 data was handled in a very conservative
10 manner.

11 The most unfavorable baseline was
12 used to impute missing data for dropouts due
13 to adverse events or opioid withdrawal. With
14 this challenging study design, we achieved
15 our primary end point, demonstrating a
16 significant difference between placebo and
17 ALO-01 for the difference in BPI average pain
18 score to be baseline to week 12.

19 This analysis used data from week
20 12 only and employed an ANCOVA approach.
21 Analgesic effectiveness was also supported by
22 significant superiority of ALO-01 over

202-464-2400

800-522-2382

1 placebo for several secondary measures,
2 including the remaining BPI pain scales, BPI
3 assessments at the clinic visit, and the
4 WOMAC composite score. Further support for
5 analgesic effectiveness was provided by post
6 hoc analysis using AUC and Kaplan-Meier
7 techniques, and analgesic durability was
8 demonstrated over 52 weeks in our long-term
9 safety Study 302.

10 The safety of ALO-01 was assessed
11 in all three trials of our core clinical
12 program. I will first describe our
13 assessment of naltrexone exposure. Overall,
14 there was negligible exposure to naltrexone
15 or its metabolite in our core clinical
16 program. Here, I will focus on the long-term
17 safety Study 302.

18 But first, to put naltrexone
19 concentrations into perspective, 1600
20 picograms per ml is the plasma naltrexone
21 concentration required for 50 percent
22 occupancy of CNS Opiate receptors, EC 50

202-464-2400

800-522-2382

1 determined by PET scan studies.

2 2,000 picograms per ml naltrexone
3 concentration is the lowest effective plasma
4 concentration for the treatment of opioid
5 abuse. Revia, a commercially available
6 naltrexone formulation, gives a peak plasma
7 concentration of 9,000 picograms per ml with
8 a 50 mg dose.

9 In our clinical program, 21 percent
10 of sampled patients had quantifiable
11 naltrexone levels, and about 80 percent had
12 6-beta-naltrexol below a potency metabolite.
13 Total plasma opiate antagonist activity is
14 expressed in naltrexone equivalence, which is
15 the sum of naltrexone and potency adjusted
16 6-beta-naltrexol concentrations.

17 These data are explained in the
18 lower portion of this plat. 150 picograms
19 per ml was the highest naltrexone equivalent
20 concentration observed in these studies,
21 approximately tenfold less than the EC 50,
22 and an order of magnitude lower than the

202-464-2400

800-522-2382

1 commercial naltrexone formulations. Plasma
2 naltrexone and 6-beta-naltrexol were not
3 correlated to dose, age, gender, and did not
4 show accumulation.

5 Now let's focus on safety in light
6 of these naltrexone levels, first looking at
7 adverse events. During the four-week KADIAN
8 titration period in Study 202, a typical
9 range of opioid-related AEs were reported.
10 Similar results were seen in data from AEs
11 reported during the six-week open labeled
12 titration phase of Study 301, and also in the
13 first four weeks of titration in the open
14 label Study 302.

15 It is important to understand that
16 the incidence rate cannot be compared between
17 trials, because they use different doses and
18 protocols. However, it is evident from these
19 data that KADIAN and ALO-01 had similar
20 relative proportions of typical opioid
21 adverse events.

22 Similar results are seen in data

202-464-2400

800-522-2382

1 from the maintenance phases, where again, we
2 see the safety of ALO-01 is uncompromised by
3 naltrexone. These results are again
4 displayed separately due to the different
5 study designs.

6 However, in this slide within the
7 Study 202 or 301, the AE comparisons are
8 valid between parallel treatments. Of note,
9 in Study 202, we do see a higher incidence of
10 vomiting for ALO-01 over KADIAN, but the
11 overwhelming majority of these cases were
12 mild and did not lead to discontinuation.

13 The vast majority of serious
14 adverse events, or SAEs, reported in the
15 clinical program were not attributed to
16 ALO-01. Out of 54 recorded events in 33
17 patients, four SAEs and three patients were
18 rated as probably due to ALO-01.

19 This includes a report of
20 gastroenteritis-associated abdominal pain.
21 Of note, none were associated with known
22 naltrexone adverse events.

202-464-2400

800-522-2382

1 Naltrexone-precipitated withdrawal
2 was also a focus of our safety evaluation.
3 The Clinical Opiate Withdrawal Scale, or
4 COWS, was employed. This is a standard
5 validated tool where moderate withdrawal is
6 associated with a score equal to or greater
7 than 13 out of 48. Ten patients had COWS
8 scores equal to or greater than 13; all were
9 due to either assignment to placebo or
10 non-compliance with ALO-01 dosing regimen.
11 None were associated with per protocol dosing
12 of ALO-01.

13 After seeing the efficacy and
14 safety data for ALO-01, let's revisit the
15 objectives of the ALO-01 clinical program.
16 We have shown bioequivalence of ALO-01 to
17 KADIAN, ALO-01 provides pain relief superior
18 to placebo and equivalent to KADIAN, and the
19 addition of naltrexone in ALO-01 does not
20 compromise either the efficacy or safety of
21 sustained release morphine.

22 The final objective, addressing the

202-464-2400

800-522-2382

1 blunting of euphoria associated with
2 ingestion of crushed ALO-01, will be
3 described by Dr. Comer.

4 DR. COMER: Good morning.

5 Alharma asked me to review their
6 abuse liability data, as I have been doing
7 substance abuse research with opioids for the
8 past 15 years at Columbia University, where
9 most of my research has been funded by NIDA.

10 Alharma sponsored CU clinical
11 abuse liability trials in prescription opioid
12 abusers; one using oral dosing and one using
13 intravenous dosing. I'll now describe the
14 studies and provide you with my perspective
15 on the results, beginning with the oral
16 study.

17 The primary purpose of Study 205
18 was to determine the relative pharmacodynamic
19 effects and safety of crushed and intact
20 ALO-01 compared to immediate release morphine
21 and placebo.

22 This was a placebo and

202-464-2400

800-522-2382

1 active-controlled crossover trial in
2 non-dependent recreational opioid abusers.
3 The question was: how effective was the
4 naltrexone in reducing the euphoric effects
5 of the morphine? The primary efficacy
6 variable was ratings of drug liking measured
7 on a visual analogue scale.

8 Subjective ratings of drug value
9 and rating on the scale were also assessed.
10 First, I will show you the primary endpoint
11 analysis from this study. This graph shows
12 mean ratings of drug liking over time. These
13 data demonstrate that immediate release oral
14 morphine, shown at the top in blue, produced
15 significant increases in ratings of drug
16 liking relative to placebo.

17 In contrast, intact ALO-01, in
18 green, did not increase ratings of drug
19 liking during the same time period. Crushed
20 ALO-01, the yellow line, produced ratings
21 that were significantly lower than the
22 immediate release morphine, and not different

202-464-2400

800-522-2382

1 from intact ALO-01. Based on my experience
2 ratings of drug liking tend to be correlated
3 with drug taking behavior, so this would
4 suggest a diffused liability of ALO-01 when
5 crushed is much lower than immediate release
6 morphine.

7 Looking now at secondary end point
8 analyses, we see that the effect is
9 consistent across a number of different
10 measures and ways of analyzing the data.
11 Say, for example, data for several secondary
12 measures were analyzed as area under the
13 curve, EMAC and drug effect at 1-1/2 hours.

14 When immediate release morphine and
15 ALO-01 crushed were compared using all of
16 these different ways of looking at the data,
17 the same general pattern occurred as in the
18 primary analysis. Although overall drug
19 liking and euphoria were lower with crushed
20 ALO-01 than with immediate released morphine,
21 there was individual variability and
22 response. For example, 28 of the 32

202-464-2400

800-522-2382

1 participants, or 87-1/2 percent, had reduced
2 drug liking within the first two hours after
3 receiving crushed ALO-01. However, 12-1/2
4 percent had no drug liking reduction.
5 Similarly, on another subscale, 22 of 32
6 participants, or 69 percent, showed a
7 decrease in euphoria with crushed ALO-01
8 compared to immediate release morphine, and
9 31 percent did not.

10 Although there is variability in
11 response, the main point here is that the
12 majority of the participants did show a
13 blunted response with crushed ALO-01 compared
14 to immediate release morphine.

15 To summarize the oral study, both
16 crushed and intact ALO-01 had lower abuse
17 liability than immediate release morphine.
18 Crushed ALO-01, because of the presence of
19 naltrexone, significantly reduced the amount
20 of euphoria or drug liking compared with
21 immediate release morphine.

22 So these were the data that were

202-464-2400

800-522-2382

1 collected to mimic recreational opioid abuse.
2 Alharma also wanted to understand the abuse
3 liability profile of crushed ALO-01 in a more
4 serious abuser, so they conducted a trial
5 using IV morphine and IV naltrexone in the
6 appropriate ratio.

7 The main objective of Study 106 was
8 to examine the subjective effects of IV
9 morphine alone compared to IV morphine
10 combined with IV naltrexone and for placebo.
11 The primary end point was the answer to the
12 question: how high are you now? Study 106
13 was a double blind randomized
14 placebo-controlled trial conducted in one
15 center.

16 The three treatment arms included
17 placebo, 30 mg of IV morphine alone, 30 mg of
18 IV morphine in combination with 1.2 mg or
19 4 percent IV naltrexone from commercially
20 available sources.

21 This ratio approximates what an IV
22 drug abuser would receive if they were to

202-464-2400

800-522-2382

1 crush ALO-01. Crushed ALO-01 was not given
2 intravenously because of potential safety
3 concerns with IV administration of the
4 excipients in the products. What I'm showing
5 you now is the time course of drug effects
6 for IV morphine alone, morphine in
7 combination with naltrexone and placebo.

8 You can see that 30 mg of morphine
9 alone produced a significant increase in
10 ratings of feeling high that extended for
11 several hours after drug administration.
12 When morphine was given in combination with
13 naltrexone, there was a substantial reduction
14 in ratings of feeling high.

15 Similarly, on the Cole Archie
16 Euphoria Scale, the morphine alone produced
17 significant increases in ratings of euphoria.
18 As with ratings of feeling high when morphine
19 was given in combination with naltrexone,
20 ratings of euphoria significantly decreased.

21 To summarize the IV study, average
22 peak ratings of feeling high were

202-464-2400

800-522-2382

1 approximately threefold greater from morphine
2 alone than from morphine plus naltrexone.
3 The magnitude of the euphoric response to
4 morphine alone was greater than for morphine
5 plus naltrexone, in support of the primary
6 efficacy outcome.

7 My overall conclusion is that if
8 ALO-01 is crushed and used by abusers, the
9 relative amounts of naltrexone released will
10 decrease morphine-induced euphoria when taken
11 orally or intravenously.

12 Dr. Stauffer will now present the
13 ALO-01 risk management plan.

14 DR. STAUFFER: Thank you, Dr. Comer.
15 I will now discuss the risk management plans for
16 ALO-01.

17 We are in the fortunate position of
18 having implemented a risk management program
19 for KADIAN a year ago, and we're committed to
20 building on its strengths with ALO-01. We
21 have two main goals. First, to minimize the
22 potential for misuse, abuse and diversion of

202-464-2400

800-522-2382

1 ALO-01.

2 Although the product itself is a
3 risk minimization tool, we recognize that
4 these problems could occur. Therefore, a
5 REMS is a prudent step. Second, although we
6 believe that our clinical trial data suggest
7 that opiate withdrawal reactions are unlikely
8 to occur with ALO-01, we will implement a
9 system to rapidly recognize and respond to
10 any such events.

11 Risk management plans, or REMS,
12 typically consist of multiphased processes,
13 as illustrated here. We examine data from
14 multiple sources and validate information to
15 detect actionable signals of adverse events
16 that can occur with our product. If our
17 investigation determines that there is a
18 valid signal, and the root cause can be
19 mitigated or prevented, we implement an
20 appropriate intervention.

21 For example, in addition to
22 numerous tried and true data streams, we will

202-464-2400

800-522-2382

1 be using NavaPro to capture data in real time
2 from hundreds of substance abuse treatment
3 centers during initial assessment using the
4 standard addictions index form.

5 We can also gather information
6 about geographic patterns of abuse, abuser
7 demographics, and sources of diversion. In a
8 recent data snapshot, we identified Tennessee
9 as a hot spot for opiate abuse, including
10 KADIAN abuse. In our view, gathering data is
11 an early step in the process. It is
12 necessary but not sufficient.

13 In response to the signal observed
14 in Tennessee, we launched targeted
15 interventions in Chattanooga and Nashville.
16 These interventions included a number of
17 educational programs for prescribers,
18 teenagers of law enforcement, including an
19 educational campaign implemented by the
20 National Association of Drug Diversion
21 Investigators.

22 This intervention is ongoing, and

202-464-2400

800-522-2382

1 we plan to prospectively monitor the impact
2 of this intervention. This is one
3 illustration of our commitment at Alharma to
4 monitor the effectiveness of our
5 interventions, report the results to FDA, and
6 modify our interventions as necessary.

7 An additional concern of ours is
8 that physicians or patients may develop a
9 false sense of security about ALO-01. We
10 have implemented a robust set of policies,
11 procedures and training to serve as the
12 foundation for our internal prevention
13 detection and intervention efforts, to ensure
14 consistent and appropriate messaging about
15 our product.

16 It is based on a commitment to
17 compliance, appropriate interactions with
18 health care providers, and comprehensive
19 training.

20 Now, turning to the physician
21 community and further efforts to assure
22 appropriate prescribing, Alharma will also

202-464-2400

800-522-2382

1 support educational efforts through a
2 national opioid safety course, with content
3 based on national controlled substance
4 prescribing guidelines.

5 The course will be comprehensive,
6 and will work with professional organizations
7 to maximize its reach. This program will
8 differ from previous programs in that we will
9 invite other industry supporters to
10 participate, and we'll endeavor to
11 prospectively measure and report the outcomes
12 of the program.

13 As a final example, we realize that
14 education and training are most useful when
15 complemented by the practical tools necessary
16 for the day-to-day safe management of
17 patients on opiate therapy. Our tools will
18 include a medication guide to assist
19 prescribers in their communication with
20 patients on appropriate use of ALO-01; an
21 opioid agreement between prescribers and
22 patients to ensure safe use conditions; a

202-464-2400

800-522-2382

1 screening tool to identify high-risk patients
2 and consider special care when prescribing
3 opioids; handbooks on the appropriate
4 prescribing of opioids; and finally,
5 instructional materials on how to perform and
6 interpret urine drug tests for patient
7 monitoring.

8 When we launch ALO-01, we will mail
9 kits to all clinicians who were called upon
10 by Alpharma.

11 With respect to the second goal of
12 REMS, our perspective is that episodes of
13 opioid withdrawal are unlikely to occur when
14 the drug is used as intended. However,
15 should any cases of withdrawal occur, the
16 reports will be reviewed by an external
17 adjudication committee to confirm the medical
18 cause and evaluate the root cause. If we
19 confirm that these patients did not take the
20 drug as directed, our field force, including
21 sales reps and medical science liaisons, will
22 deploy additional educational interventions

202-464-2400

800-522-2382

1 and other communications directed to
2 providers and patients.

3 If the findings confirm that the
4 medication was taken as directed and the
5 patient still experienced withdrawal
6 syndrome, we would communicate with FDA and
7 address this from a regulatory standpoint.

8 In short, this is an example of how
9 we critically evaluate information and
10 respond swiftly with appropriate actions.

11 In the next slide, our timeline for
12 REMS implementation. Significant parts of
13 our system for clinician education and
14 product surveillance have already begun.
15 With the implementation of Pain Balance, our
16 educational initiative, and NavaPro in
17 January of last year, we will continue to
18 educate clinicians on appropriate use of
19 ALO-01, with the deployment of our medical
20 risk management kit at launch at a national
21 opioid safety course one month after launch.

22 We will also submit post-launch

202-464-2400

800-522-2382

1 REMS assessment in compliance with FDA
2 instructions. These REMS reports will recap
3 tactics deployed, provide objective data on
4 REMS effectiveness, and recommend areas of
5 improvement. We will of course analyze our
6 own data and consistently strengthen our
7 REMS, even as our communications with FDA
8 remain frequent.

9 To supplement our surveillance
10 activities, we are also planning a series of
11 randomized controlled trials and
12 epidemiologic studies, the designs of which
13 are currently underway, to assess the impact
14 of ALO-01 in a real-world setting.

15 This is our commitment as a company
16 to the FDA, to the pain community, and to the
17 Advisory Committee, that we will take this.
18 We look forward to working with FDA to ensure
19 we address the appropriate issues regarding
20 the impact of ALO-01.

21 To close out our presentation, I
22 would like to summarize what we have heard

202-464-2400

800-522-2382

1 here today. As Dr. Katz pointed out,
2 prescription opioid use is a complex problem
3 that will likely require a variety of
4 strategies to reduce the many risks inherent
5 in opioid misuse and abuse.

6 ALO-01 is one tool in that
7 mitigation toolbox, which when crushed
8 reduces euphoria, making it less attractive
9 to opioid abusers. Clearly, the data showed
10 ALO-01 is safe and efficacious when taken as
11 directed.

12 We achieved our primary point using
13 very conservative missing data imputation
14 techniques, which demonstrates statistical
15 significance between ALO-01 and placebo, and
16 other secondary measures to support its
17 clinical effectiveness. We demonstrated that
18 the minimal exposure to naltrexone does not
19 pose a safety risk to patients when taken as
20 directed. The level of detectable naltrexone
21 concentrations is negligible, and an order of
22 magnitude below levels with

202-464-2400

800-522-2382

1 clinically-accepted doses of approved
2 products already on the market. Our data
3 also showed that naltrexone does not
4 accumulate over time.

5 From an abuse liability standpoint,
6 Dr. Comer pointed out that across all
7 measures in our trial for most subjects,
8 ALO-01 reduced drug liking and euphoria, and
9 was determined to be clinically relevant.

10 So our overall clinical program
11 demonstrates that the addition of naltrexone
12 does not compromise efficacy or safety. Also
13 when crushed, ALO-01 significantly reduces
14 euphoria and drug liking, and we demonstrated
15 pain relief to periods of placebo and
16 equivalent to KADIAN.

17 So Alpharma has created a medicine
18 that is good for patients and the public
19 health. We are committed to responsible
20 marketing of this product. ALO-01 has
21 demonstrated safety and efficacy, with a
22 platform technology that is proven to reduce

202-464-2400

800-522-2382

1 euphoria and drug liking when the medicine is
2 crushed. It is one tool to address one
3 aspect of the complex multifaceted problem of
4 prescription opioid abuse. We need a
5 multitude of such tools that are designed to
6 address abuse.

7 On behalf of Alpharma, I thank you
8 for your attention and we look forward to
9 answering your questions.

10 DR. KIRSCH: Thank you. We're now
11 going to be taking a break. The break will be
12 for ten minutes.

13 Committee members, please remember
14 that there shall be no discussion of the
15 meeting topic during the break amongst
16 yourselves or with any member of the
17 audience.

18 My watch says it's almost 15
19 minutes after the hour. We will come back at
20 25 after the hour.

21 (Recess)

22 DR. KIRSCH: In this part of the

202-464-2400

800-522-2382

1 meeting, we will have some presentations by the
2 FDA.

3 Our first presenter is Dr. Nallani.

4 DR. NALLANI: Good morning.

5 I'm Srikanth Nallani, clinical
6 pharmacologist supporting the Division of
7 Anesthesia, Analgesia and Rheumatology
8 Products.

9 Previously, the sponsor's
10 perspective on the abuser liability studies,
11 particularly No. 205 and No. 106, was
12 presented. Although we have not had a chance
13 to formulate a final opinion on these
14 studies, the goal of my presentation is to
15 indicate the high availability in the data
16 noted in these laboratory studies, and also
17 to present underlying issues with these
18 studies required for abuser liability claims.

19 As my colleague presented
20 yesterday, the abuse of morphine extended
21 release products occurs by different methods
22 of physical manipulation, and also by

202-464-2400

800-522-2382

1 different methods or routes of
2 administration. Crushing comprises the most
3 frequent method of manipulation, followed by
4 chewing, boiling and dilution, and dilution
5 being the most frequent method of
6 administration for abuse.

7 Oral, snorting, also comprises
8 significant routes. Previously, the sponsors
9 have described Study 205, and this is a brief
10 presentation of Slide 56 from the NDA, and
11 this is the oral abuser liability study,
12 where drug liking is presented on the Y axis
13 on the scale of 0 to 100. 50 is a measure
14 where the abuser neither likes nor dislikes
15 the product, and 100 indicates very strong
16 liking.

17 As shown here, the placebo in
18 dotted lines, the subjects receiving placebo
19 did not show any change in drug liking, but
20 as they receive oral solution, they exhibit
21 strong drug liking effect. And the peak drug
22 effects also coincide with the peak plasma in

202-464-2400

800-522-2382

1 the oral solution, and this is presented in
2 table nine of the background package.

3 The peak drug effects with the
4 product occur around eight hours, that's the
5 cMAX for plasma, and although the morphine
6 levels are comparable to oral solution when
7 the product is crushed, the average levels,
8 the average drug liking effect for the
9 crushed product appear really low on an
10 average.

11 But this slide shows the huge
12 variability in the naltrexone between
13 morphine drug liking. In fact, the sponsors
14 do indicate on Slide 59 of their presentation
15 of how some individuals in spite of receiving
16 crushed product, demonstrated strong drug
17 liking and euphoria at several points despite
18 the release and absorption of naltrexone.

19 Again, I need to mention that our
20 final analysis is pending on this. The data
21 presented here is also sponsors analysis from
22 the NDA. The data presented here shows the

202-464-2400

800-522-2382

1 proportion of subjects who had any reduction
2 in post-dose maximum drug liking effects
3 compared to the ones who received morphine
4 (inaudible) immediate release.

5 The change in maximum drug liking
6 as it relates to a certain amount of
7 reduction is demonstrated in the first
8 column, and the observation for those
9 receiving ALO-01 crushed is demonstrated in
10 the second, and those receiving the intact
11 product is presented in the third column.

12 As can be seen here, the majority
13 of the subjects had a 20 percent decrease in
14 maximum drug liking. None of the subjects
15 had a 50 percent reduction. 50 percent
16 reduction would comprise the best case
17 scenario, and none of the subjects had best
18 case scenario.

19 Now again going back to the
20 individuals who did like the drug despite
21 receiving naltrexone levels in the systemic
22 circulation, and also having euphoria, the

202-464-2400

800-522-2382

1 data indicate huge variability in the
2 reduction of maximum drug liking response and
3 the outliers do experience drug liking in
4 spite of receiving the crushed product.

5 So the question we have is, is
6 there adequate data to claim abuser dose by
7 crushing and oral convention? Additionally,
8 the Study 205 only addresses abuse of the
9 product by swallowing after crushing. PK
10 safety and drug liking studies were not
11 connected to address abuse by snorting
12 crushed product.

13 As we have seen before, snorting is
14 another major group of abuse. And studies
15 were also not done to evaluate chew and
16 swallow, how the naltrexone, whether it
17 released adequately to counter the effects of
18 morphine.

19 The unresolved issues with the IV
20 abuse Study 106 may be important to the
21 premise of the study. The study assumes that
22 both morphine and naltrexone will be

202-464-2400

800-522-2382

1 extracted in vitro. The study, as described
2 before, IV solutions mapped for IV
3 administration and would be agents that are
4 administered concomitantly. This study does
5 not address situations where morphine alone
6 may be extracted, and it is reasonable to say
7 that it's not safe to administer crushed
8 product IV, and the sponsors seem to have
9 some evidence on the way to address this
10 route of abuse.

11 With that, this -- again like I
12 mentioned -- this presentation is supposed to
13 give an overview of the unresolved issues we
14 are facing during the review.

15 Thank you so much.

16 DR. KIRSCH: Thank you.

17 Next will be Dr. Ellen Fields whose
18 going to talk about the history of modified
19 opioids antagonist combinations.

20 DR. FIELDS: Good morning.

21 My name is Ellen Fields, and I'm a
22 medical team leader in the Division of

202-464-2400

800-522-2382

1 Anesthesia, Analgesia and Rheumatology
2 Products.

3 My presentation will cover two
4 subjects; the first being the history of the
5 approved modified release morphine products
6 and important labeling changes. The second
7 part of my presentation will be the history
8 of the approved opioid antagonist combination
9 products.

10 There are currently four approved
11 modified released morphine products;
12 MS-Contin, Oramorph SR, KADIAN and Avinza.
13 All are Schedule II opioid analgesics and are
14 indicated for the management of moderate to
15 severe pain when a continuous
16 around-the-clock opioid is needed for an
17 extended period of time.

18 The extended release products
19 provide for improved compliance, convenience
20 for the patient and longer duration of pain
21 relief than the immediate release
22 formulations. I will discuss the products in

202-464-2400

800-522-2382

1 the order in which they were approved.

2 MS-Contin was approved in May of
3 1987. It was the first modified release
4 morphine product and the NDA was processed as
5 a priority review. It is available in
6 strengths ranging from 15 to 200 mg, and is
7 to be dosed every 12 hours.

8 In 2003, the box warning was added
9 to the label. The 100 mg and 200 mg
10 strengths were to be limited to
11 opioid-tolerant patients. In addition,
12 language was added regarding abuse liability,
13 and that MS-Contin was not to be used PRN and
14 not to be broken, chewed, crushed or
15 dissolved.

16 In 2007, additional language was
17 added throughout the label regarding abuse,
18 misuse and diversion. This is the box
19 warning for MS-Contin; similar box warnings
20 are part of the labels for the other extended
21 release morphines.

22 The next few slides will describe

202-464-2400

800-522-2382

1 each section of the box. This section states
2 that MS-Contin is a Schedule II controlled
3 substance. It can be abused in a manner
4 similar to other opioids, and this should be
5 taken into consideration by prescribers.

6 This section of the box describes
7 the indication, and emphasizes that the 100
8 mg and 200 mg tablets are for use only in
9 opioid-tolerant patients. The final section
10 of the box warns not to swallow broken,
11 chewed, dissolved, or crushed tablets, which
12 would lead to rapid release and absorption of
13 a potentially fatal dose of morphine.

14 Oramorph was approved in 1991 in
15 strengths ranging from 15-100 mg, to be dosed
16 every 8 to 12 hours. The label is currently
17 in the process of being updated.

18 KADIAN was the third modified
19 release morphine product, approved July 1996,
20 with initial strengths of 20, 50 and 100 mg.
21 Since that time, additional strengths have
22 been added, including 200 mg, which was added

202-464-2400

800-522-2382

1 in 2005. At that time, language was added to
2 the label stating that the 100 mg and 200 mg
3 capsules were to be prescribed only to
4 opioid-tolerant patients.

5 KADIAN is to be dosed every 12 to
6 24 hours, and the capsules may be swallowed
7 whole or sprinkled on applesauce. In 2006, a
8 box warning was added to the label similar to
9 that shown for MS-Contin. The KADIAN box
10 warning also has wording regarding sprinkling
11 the contents of the capsule on applesauce.

12 The most recently approved modified
13 release morphine product is Avinza, approved
14 in March of 2002. Strengths range from 40 mg
15 to 120 mg, to be dosed once daily. And like
16 KADIAN, the capsules may be swallowed whole
17 or sprinkled on applesauce. Unlike the other
18 similar products, Avinza has a maximum daily
19 dose of 1600 mg due to one of the inactive
20 ingredients in the formulation.

21 The 60, 90 and 120 mg strengths are
22 to be administered only to opioid-tolerant

202-464-2400

800-522-2382

1 patients. In October 2005, language was
2 added to the label stating that alcohol
3 compromises the controlled release properties
4 of Avinza and causes it to act as an
5 immediate-release product.

6 This phenomenon is known as dose
7 dumping. In 2004, the agency became aware
8 that Palladone, modified-release
9 hydromorphone, was associated with an
10 accelerated release of hydromorphone in the
11 presence of alcohol in vivo.

12 Palladone was removed from the
13 market. Subsequently, all modified release
14 opioids underwent in vitro dissolution
15 studies in the presence of alcohol. In vivo
16 studies followed if needed to confirm the
17 positive in vitro studies. All four morphine
18 products were studied in vitro with
19 40 percent alcohol.

20 Avinza and KADIAN were also studied
21 in the presence of 20 and 4 percent alcohol.
22 The in vitro tests with KADIAN were positive,

202-464-2400

800-522-2382

1 and were followed by negative tests in vivo.
2 Avinza showed positive in vitro tests. No in
3 vivo testing was performed with Avinza, since
4 the sponsor included specific language in the
5 label regarding dose dumping.

6 Both MS-Contin and Oramorph had
7 negative in vitro testing. These are the
8 results of the in vitro testing with Avinza,
9 which is illustrative of dose dumping in
10 different concentrations of alcohol.

11 The graph illustrates the in vitro
12 dissolution of Avinza in the approved
13 dissolution medium alone, and plus four
14 percent, 20 percent and 40 percent alcohol.
15 The bottom-most line is the dissolution
16 without alcohol, showing a controlled rate of
17 release over a defined period of time; in
18 this case, 24 hours.

19 The dissolution in four percent
20 alcohol, the red line, is similar. However,
21 dissolution in 20 percent, this green line,
22 and 40 percent, the uppermost brown line, is

202-464-2400

800-522-2382

1 accelerated so that over 80 percent of the
2 drug release occurs in less than one hour
3 when Avinza is in the presence of 40 percent
4 alcohol.

5 All opioid labels contain standard
6 language regarding their pharmacodynamic
7 interaction with alcohol, such as that shown
8 on this slide. Because Avinza is shown to
9 have a positive interaction with alcohol in
10 vitro, and no in vivo testing was done, this
11 language was added to the label. Patients
12 must not consume alcoholic beverages while on
13 Avinza therapy. Additionally, patients must
14 not use prescription or non-prescription
15 medications containing alcohol while on
16 Avinza therapy.

17 Consumption of alcohol while taking
18 Avinza may result in the rapid release and
19 absorption of a potentially fatal dose of
20 morphine.

21 The next part of my presentation is
22 the history of approved opioid antagonist

202-464-2400

800-522-2382

1 combination products. The Code of Federal
2 Regulations Title 21, Section 300.50,
3 describes the combination rule.

4 One of the special cases of this
5 general rule is where a component is added to
6 minimize the potential for abuse of the
7 principal active component. There are
8 currently two approved products in this
9 category: Talwin Nx and Suboxone.

10 Naloxone was added to both products
11 in order to deter intravenous abuse of the
12 drugs. Naloxone is a pure opioid antagonist
13 used for complete or partial reversal of
14 opioid-induced respiratory depression when
15 administered intravenously. It also reverses
16 the euphoric effect associated with opioid
17 intoxication. It has very limited systemic
18 bioavailability via non-parenteral routes.

19 Therefore, additional Naloxone can
20 only impact parenteral abuse of Talwin Nx and
21 Suboxone. Talwin, or pentazocine, was
22 approved in 1967 for the relief of moderate

202-464-2400

800-522-2382

1 to severe pain. At that time, it was not
2 known to have any abuse potential and was not
3 scheduled.

4 In 1968, initial reports of
5 dependence were received. Talwin was
6 initially thought to be abused only by a
7 restricted patient population, but it became
8 apparent that the abuse was more widespread.
9 Talwin became a significant public health
10 problem in the late 1970s, with the advent of
11 Ts and blues abuse; that is, Talwin plus the
12 antihistamine tripeleennamine, which was a
13 blue tablet. These were dissolved together
14 and injected intravenously and used as an
15 inexpensive alternative to heroin. This
16 epidemic coincided with a decrease in the
17 availability of heroin.

18 In 1979, Talwin became a
19 Schedule IV narcotic, and labeling was
20 changed to include post-marketing events of
21 addiction. In 1982, pentazocine was
22 reformulated with Naloxone, which became

202-464-2400

800-522-2382

1 Talwin Nx, and was marketed starting in April
2 of 1983.

3 Single-entity Talwin was removed
4 from the market in January of 1983, and
5 reports of abuse during the two years after
6 its withdrawal declined. In 1987, a study
7 reported the analysis of data from the Drug
8 Abuse Warning Network, or DAWN, and IMS
9 prescription audit that reviewed the use and
10 abuse patterns of pentazocine before and
11 after the Naloxone was added to the product.

12 This graph illustrates the number
13 of prescriptions dispensed from retail
14 pharmacies for pentazocine-containing
15 products by year and quarter. The dark bars
16 represent all pentazocine products other than
17 Talwin Nx, and include IV pentazocine and
18 single-entity Talwin. The white bars are
19 Talwin Nx prescriptions.

20 The number of pentazocine
21 prescriptions began to fall in the second
22 quarter of 1982 as Talwin Nx came onto the

202-464-2400

800-522-2382

1 market. This graph depicts emergency room
2 mentions of pentazocine per million
3 prescriptions by year and quarter.

4 The ER mentions fell sharply after
5 distribution of single-entity Talwin ceased
6 in January of 1983, and the introduction of
7 Talwin Nx in April of that year. It is of
8 note, however, that the rate of ER mentions
9 began to fall prior to the introduction of
10 Talwin Nx. This may have also been impacted
11 by an increase in the supply of heroin, and
12 consequently, a decrease in the abuse of
13 Talwin.

14 Similar results are shown in this
15 graph regarding the medical examiner mentions
16 per million pentazocine prescriptions. The
17 rate had already dropped prior to the removal
18 of Talwin and the introduction of Talwin Nx.

19 However, it did not appear to rise
20 again for the next two years. So the
21 decrease in the abuse of Talwin appears to
22 have been multifactorial. The factors

202-464-2400

800-522-2382

1 include the scheduling of Talwin, the removal
2 of single-entity Talwin from the market, the
3 introduction of Talwin Nx, and the change in
4 the availability of heroin.

5 Suboxone is a combination of
6 buprenorphine and Naloxone. It was approved
7 in October 2002 for the treatment of opioid
8 dependence, along with Subutex, which is
9 buprenorphine alone. The two products are
10 interchangeable with regard to the
11 pharmacokinetics of buprenorphine.

12 Suboxone was designed to be
13 administered sublingually. In studies,
14 absorption of the Naloxone component caused
15 no clinically significant effect, although
16 plasma levels were measurable.

17 If Suboxone was improperly
18 administered via the intravenous route, the
19 Naloxone component would become available and
20 block the euphoric effects of the opioid
21 component or precipitate opioid withdrawal.

22 There have been no formal studies

202-464-2400

800-522-2382

1 to assess the impact of Suboxone in terms of
2 abuse. There have been reports of Suboxone
3 abuse via the usual route of administration,
4 but also by nasal inhalation and injection.
5 The agency has received reports from AERS,
6 the Adverse Event Reporting System, and from
7 the sponsor via their quarterly surveillance
8 reports of cases of IV abuse of Suboxone.

9 According to the Baltimore Sun
10 article of December 2007, "The Bupe Fix,"
11 Naloxone does not always deter abuse.
12 Maine's health department reported in August
13 2007 that misuse spread rapidly as more with
14 Suboxone was prescribed. Abusers of the drug
15 have figured out how to separate out the
16 Naloxone to inject the buprenorphine. And in
17 Massachusetts, a police detective said, "a
18 lot of people are injecting it and they're
19 getting hooked on it."

20 In summary, there were four
21 approved modified release morphine products.
22 The highest doses are only to be prescribed

202-464-2400

800-522-2382

1 to opioid-tolerant patients. The labels
2 contain box warnings, and one product,
3 Avinza, has labeling regarding dose dumping
4 and alcohol.

5 In terms of opioid antagonist
6 combinations, there are two approved
7 products, Talwin Nx and Suboxone, and the
8 Naloxone was added to each to mitigate
9 intravenous abuse. There was some evidence
10 that Talwin Nx was associated with a decrease
11 in pentazocine abuse. However, other factors
12 likely contributed, such as scheduling of
13 Talwin and the availability of heroin during
14 that time period.

15 There have been no formal
16 assessments of Suboxone to impact on abuse,
17 and there is evidence of intravenous and
18 intranasal abuse.

19 This concludes any presentation.

20 Thank you.

21 DR. KIRSCH: Thank you.

22 Next, I will call on Dr. Governale,

202-464-2400

800-522-2382

1 who is going to talk about outpatient drug
2 utilization trends from morphine products.

3 DR. GOVERNALE: Good morning.

4 My name is Laura Governale, and I'm
5 the drug utilization analyst team leader at
6 the Division of Epidemiology in the Office of
7 Surveillance and Epidemiology.

8 Today, I will be presenting the
9 outpatient drug utilization patterns for
10 extended release morphine products. My
11 presentation today will follow the similar
12 outline from yesterday's presentation, except
13 today will be on morphine sulfate.

14 Again, I will be using the same
15 methods and databases employed from
16 yesterday's presentation, so the sales data
17 will be obtained from the IMS Health National
18 Sales Perspective, dispensed prescription
19 analysis will come from the FDI or
20 (inaudible). And the patient level analysis
21 will also come from the SDI as well as the
22 total patient tracker. Then I will conclude

202-464-2400

800-522-2382

1 with a summary of my presentation.

2 Again, we looked at the systemic
3 oral morphine sulfate products, and we
4 grouped them into the following categories.
5 The immediate release, morphine sulfate are
6 listed below. As I said, the sales
7 distribution data were from the IMS Health
8 National Sales Perspective, and here we're
9 measuring the number of bottles -- bottles,
10 vials or packets of pills that are being sold
11 from the manufacturer to retail on non-retail
12 channels of distribution.

13 This graph shows the projected
14 number of bottles and vials of morphine
15 sulfate products by dosage forms sold from
16 the manufacturer to retail and non-retail
17 channels of distribution, and here, we see
18 that over 73 percent of the oral solid
19 products of the systemic long acting or
20 extended release products, as well as the
21 immediate release oral solids, are
22 distributed towards the retail pharmacy

202-464-2400

800-522-2382

1 channels; whereas for the liquid products,
2 nearly 85 percent of the oral liquid products
3 are distributed to non-retail channels.

4 Again, SDI or surveillance data is
5 a national level projected prescription
6 patient tracking service, and it receives
7 dispensed prescriptions from a sample of
8 nearly all the retail pharmacies in the
9 country, and it accounts for nearly half of
10 all dispensed prescriptions in the U.S.

11 And the retail pharmacies in the
12 sample include national retail chains, mass
13 merchandisers, pharmacy benefit managers and
14 the data systems and provider groups.

15 This chart is similar to the graph
16 I displayed yesterday except we're just
17 looking at the proportion of prescriptions
18 dispensed for selected opioids in year 2007.
19 And here, we see that Hydrocodone and
20 OxyCodone account for over 90 percent of the
21 opioid market.

22 The morphine products each account

202-464-2400

800-522-2382

1 for only three percent of the opioid market.
2 In this graph here, we are looking at the
3 bottom ten percent of the market which
4 include morphine, fentanyl, methadone and
5 hydromorphone products. During year 2007,
6 all the morphine products, which include both
7 the immediate release and the extended
8 release account for 5.5 million
9 prescriptions, which is represented in the
10 yellow bars.

11 Fentanyl accounts for 4.5 million
12 prescriptions, methadone 4.2 million
13 prescriptions, and hydromorphone with 1.6
14 million prescriptions.

15 In this slide, we are breaking down
16 just the morphine sulfate products by
17 extended release and immediate release over
18 the ten-year period 1998 to 2007.

19 The extended release formulations
20 are shown in the red bar, and in contrast to
21 yesterday's presentation on the extended
22 release OxyCodone products, the majority of

202-464-2400

800-522-2382

1 the market for the morphine products are for
2 the extended release formulation, which is
3 shown on the yellow bar, and immediate
4 release formulation accounts for
5 approximately a quarter of the market.

6 So again, the extended release
7 products quadrupled in ten years from one
8 million prescriptions in 1998 to 4.2 million
9 in the year 2007, and it accounts for
10 approximately 75 percent of the market;
11 whereas immediate release formulations which
12 include the tablet solutions and drops,
13 account for approximately 1.3 million
14 dispensed prescriptions in year 2007.

15 This slide takes a look at just the
16 immediate release morphine sulfate products
17 by manufacturer. The yellow bar represents
18 the entire extended release market.

19 The blue line here represents the
20 generic manufacturers, and you can see that
21 the generic manufacturers account for
22 approximately 70 percent of the extended

202-464-2400

800-522-2382

1 release morphine sulfate market. KADIAN
2 represents 15 percent, or 614,000
3 prescriptions; Avinza was 13 percent with
4 546,000 prescriptions, and MS-Contin, with
5 one percent of the market or 55,500
6 prescriptions dispensed in the year 2007.

7 We looked at the dispensing
8 characteristics for both the immediate
9 release and extended release morphine sulfate
10 products. For the immediate release or the
11 extended release morphine sulfate, the top
12 dispensed strength was 30 mg with 35 percent
13 of the market, followed by 60 mg, 15 mg and
14 100 mg.

15 For the immediate release morphine
16 sulfate market, the most dispensed strength
17 was the 15 mg strength, and for the solution
18 and concentration drops, 20 mg was the most
19 dispensed strength. The most common total
20 daily dose which was a report based on a
21 survey of office space physicians, was 60 mg
22 per day, followed by 120 mg per day. The

202-464-2400

800-522-2382

1 average days of therapy per new prescription
2 for the extended release formulation was 28
3 days; whereas for the immediate release, it
4 was for 22 days.

5 We also looked at prescription type
6 for the morphine products by dosage form and
7 by prescription type, meaning whether a
8 prescription was dispensed as a new
9 transaction or whether it was a continuing
10 transaction, or whether it was a switch or an
11 add-on transaction. So looking at a
12 three-month look-back period for the extended
13 release formulation, we saw that the majority
14 of transactions were going towards patients
15 who had a prescription filled for another
16 morphine sulfate product in the past three
17 months, so that's 80 percent; whereas new
18 patient prescriptions for extended release
19 morphine sulfate was only 4.5 percent.

20 For the immediate release
21 formulation, the new patient transactions and
22 add/switch transactions were more common. In

202-464-2400

800-522-2382

1 this chart, we are looking at the top ten
2 prescribing specialties for extended release
3 morphine products, and it's pretty similar to
4 what we saw yesterday for the extended
5 release OxyCodone products.

6 The leading prescribers in the year
7 2007 were general practice or family
8 medicine, followed by anesthesiology and
9 internal medicine. Here again, we're
10 combining the number of prescriptions and the
11 number of unique patients who are receiving
12 these prescriptions in their outpatient
13 retail pharmacy setting.

14 The blue bars represent numbers of
15 patients, and the axis on the left
16 corresponds to patients; whereas the line
17 represents the number of dispensed
18 prescriptions, and the right axis corresponds
19 to dispensed prescriptions.

20 And now we were looking at patients
21 in the ten-year age increments. And the
22 total number of patients who received all

202-464-2400

800-522-2382

1 morphine sulfate products, including both
2 immediate release and extended release, was
3 1.2 million patients. For the extended
4 release alone, that accounted for 867,000
5 patients. For the immediate release, that
6 was 452,500 patients.

7 We saw that the majority of
8 prescriptions and patients are age 40 to 59
9 years old. Younger patients age 0 to 19
10 years old were less than one percent of the
11 market. And again on average, the five
12 prescriptions were dispensed per patient per
13 year for these products.

14 So again in summary, the morphine
15 sulfate products account for 5.5 million
16 prescriptions and 1.2 million patients, and
17 represents approximately three percent of the
18 selected opioid market. The market share for
19 extended release morphine products, generics
20 account for over 70 percent, KADIAN with
21 15 percent, Avinza 13 percent and MS-Contin
22 with one percent.

202-464-2400

800-522-2382

1 Leading prescribers are general
2 practice, anesthesiologists and internal
3 medicine. And again, the most dispensed
4 strength for the extended release formulation
5 was 30 mg. The most prescribed total daily
6 dose was 60 mg. Average days of therapy
7 approximately 28 days, and over 80 percent of
8 new prescription transactions were for
9 continuing patients.

10 Over half of all patients and
11 prescriptions were dispensed to those age 40
12 to 59 years old, and less than one percent of
13 patients were 0 to 19 years old. And again
14 on average, five prescriptions were dispensed
15 per patient per year for extended release
16 morphine sulfate products.

17 This concludes my presentation.

18 DR. KIRSCH: Thank you. The next and
19 last presentation by the FDA will be done by Dr.
20 Cathy Dormitzer, who is going to provide a
21 summary of drug abuse rates in the United
22 States, particularly related to morphine.

202-464-2400

800-522-2382

1 DR. DORMITZER: Hi, good morning.

2 My name is Cathy Dormitzer, and I'm
3 an epidemiologist in the Division of
4 Epidemiology in the Office of Surveillance
5 and Epidemiology. And this presentation
6 focuses on the same topics as yesterday, but
7 today, I will be focusing on morphine. I
8 will provide a brief background on why we are
9 presenting estimates on rates of drug abuse,
10 the methods that we use to calculate these
11 rates, the estimates themselves, and any
12 conclusions that can be drawn from the
13 estimates.

14 And yesterday you listened to a
15 presentation by Capt. Poinette on the number
16 of non-medical use emergency room visits that
17 are associated with morphine.

18 Dr. Governale just presented drug
19 utilization data, and that can be considered
20 denominated data. Drug abuse ratios were
21 calculated by dividing the number of
22 non-medical use emergency room visits by

202-464-2400

800-522-2382

1 10,000 retail prescriptions. And that's just
2 to provide some context on non-medical use of
3 opioids within drug utilization.

4 So do we see high numbers of
5 non-medical use as a result of high
6 utilization; do we see low numbers as a
7 result of low utilization, or are some
8 opioids more abused than others?

9 Please remember the following
10 limitations. Each data set has different
11 sampling methodologies. Confidence intervals
12 were not used in these calculations, and
13 these data are not linked in any way. As you
14 can see, the number of emergency room visits
15 that are attributed to non-medical use for
16 morphine were considerably lower for
17 controlled release than for immediate release
18 morphine products. But as just presented,
19 extended release retail prescriptions are
20 much higher than for immediate release. And
21 so when you take the total number of
22 prescriptions into consideration, what you

202-464-2400

800-522-2382

1 can see is the number of non-medical use
2 emergency room visits per 10,000 retail
3 prescriptions for extended released morphine
4 is well between 8 and 17 per 10,000 retail
5 prescriptions; whereas the number of
6 emergency room visits for immediate release
7 ranged between 77 and 176.

8 I'm going to give my
9 epidemiological caveat right here, because
10 I'm anticipating questions. These have very
11 large error bars, and because we have low
12 numbers, I would not place a lot of weight on
13 the numbers themselves. At the same time, we
14 can see that the number of emergency room
15 visits for extended release is lower than for
16 immediate release.

17 That concludes my presentation.

18 DR. KIRSCH: We're now going to have
19 an opportunity for members of the Committees ask
20 the presenters either from the sponsor or the
21 FDA questions. I'd ask that you not speak until
22 you're recognized by myself. I would also ask

202-464-2400

800-522-2382

1 you to take into consideration there's going to
2 be a lot of people speaking in turn. So though
3 you may have a comment to make that you think is
4 burning because of a previous question, I'm
5 going to ask you to pause and go to the person
6 in turn who is next.

7 So with that, I will open it up for
8 questions by the Committee.

9 Dr. Wolfe.

10 DR. WOLFE: If you could put up the
11 sponsor Slide C29, I just have a question on
12 that, or two portions of that. It's the intact
13 pellets summary of extraction test results. The
14 first question is with respect to solvent 2 and
15 solvent 3, you have concluded on this chart that
16 this product, ALO-01, does not have any
17 advantage in terms of IV abuse for solvent 2,
18 and does not have any advantage in terms of oral
19 use for solvent 3.

20 So the question is, do you conclude
21 from that that in comparison with other
22 extended release morphine products, that your

202-464-2400

800-522-2382

1 product does not have an advantage in either
2 of those two categories?

3 And the second question, which is
4 similar, is for the other solvents, 1, 4, 5,
5 6, 7, where you state for both oral and IV
6 use that they have a potential to minimize
7 abuse, do you have comparisons again with the
8 other extended release morphine products that
9 would say that your product is
10 distinguishable from those other ones?

11 DR. STAUFFER: Let me begin to answer
12 that question by providing the criteria that we
13 used in order to establish the potential to
14 minimize abuse. And those criteria were for an
15 IV abused product -- the minimized abuse, there
16 would be extraction of naltrexone, there would
17 be an oral abuse, there would be naltrexone
18 present, multi-step extraction in the amount of
19 less than 100 mg, and time to obtain an abusable
20 amount of morphine, we set that at greater than
21 four hours. For IV abuse, we said there would
22 be naltrexone present, also multi-step

202-464-2400

800-522-2382

1 extraction, but that the concentration would be
2 less than 20 mg per ml, and time to obtain the
3 abusable concentration would be, again, less
4 than four hours.

5 So to answer your question, first,
6 I believe I may have misunderstood your
7 question, so let me say what I believe I
8 heard. So the "no" in the column means that
9 it does not have the potential to minimize
10 abuse. So what we're saying is for
11 solvent 2, that for an IV abuser, this has a
12 potential to be abused, because even though
13 extraction is low, which means that the
14 concentration is not very high, the amount
15 that you get out looking at a 100-mg dose
16 could still be potentially abused by an IV
17 abuser.

18 So we are actually saying that does
19 have a potential. And for solvent 3 then,
20 the "no" means that for oral abuse, while you
21 get high extraction, you get very low
22 concentrations in the studies as they were

202-464-2400

800-522-2382

1 performed, and consequently, it does have a
2 potential for abuse.

3 Did I address that directly for
4 you?

5 DR. WOLFE: No, my question
6 was -- well, you're defining in terms and that's
7 useful, but my question was, are you concluding
8 that in comparison with other extended dose
9 morphine products that your product is not
10 distinguishable in terms of how much morphine
11 can be extracted, which is the purpose of all --

12 DR. STAUFFER: I'm sorry. I guess I
13 misunderstood your question. When you look at
14 other extended release morphine products,
15 typically the abuser crushes those products,
16 whether it's chewing or another form, and when
17 they do that, the concentration is a very high,
18 like an immediate release dosage, so the
19 concentration is, or the amount is, very high.
20 So we're staying compared to those -- I don't
21 have the concentration numbers, but those would
22 fall into the categories that I mentioned in how

202-464-2400

800-522-2382

1 we defined the different --

2 DR. WOLFE: No, this is a study of
3 intact pellets, so again, maybe I'm not being
4 clear enough. For intact pellets of your
5 product versus other ones, the question being
6 there are other data that we're talking about.
7 Other extended dose morphine products, intact
8 pellets, are you saying here that your product
9 does not have an advantage in either solvent 2
10 or solvent 3 over the other products in terms of
11 morphine extraction from the intact pellet.

12 DR. STAUFFER: I'm not saying that it
13 does -- we believe that it does have an
14 advantage, because in order to get to these
15 steps, it takes a step or two to get there. The
16 other products, they would be crushed and the
17 amount that would be present in the dosage form
18 would be immediately available.

19 DR. WOLFE: That's the first part.
20 And the other part is for the other solvents 1,
21 4, 5, 6, 7, are there data from the other
22 extended release morphine products that would

202-464-2400

800-522-2382

1 allow you to say that your product is
2 distinguishable in terms of morphine extraction.
3 For all of these, you are saying it has the
4 potential to minimize abuse orally and IV for 1,
5 4, 5, 6, 7; how does that compare with the other
6 extended release products for -- again, intact
7 pellets?

8 DR. STAUFFER: When we talk of the
9 intact pellets, we believe that the intact
10 pellets often are barriers to -- making it more
11 difficult or making it less convenient for the
12 abuser to be able to use the pellets to take
13 advantage -- to abuse them to their advantage.
14 If you look at other products in the market
15 which would have to be typically crushed or
16 chewed or if you put them in a similar
17 situation, they would behave in a similar
18 fashion.

19 DR. WOLFE: Again, I'm talking about
20 intact pellets. Do you have data from other
21 intact pellets of other extended release
22 morphine products that you can use as the basis

202-464-2400

800-522-2382

1 for comparing the yes, yes, yes that you have on
2 these?

3 DR. STAUFFER: I guess if I looked at
4 the KADIAN as another product and compare it to
5 KADIAN, the advantage again is that in a number
6 of these, naltrexone is released in the process
7 of attempting to abuse these particular
8 products, and either the naltrexone that's
9 released or the fear of the precipitated
10 withdrawal from that naltrexone makes this
11 product much less abusable, a less favorable
12 product for the abuser.

13 DR. KIRSCH: I will add a question to
14 that. I think the question is, do you have data
15 to support that hypothesis? You're stating it
16 as a hypothesis, and the question is, do you
17 have data to support that hypothesis?

18 DR. WOLFE: On the intact pellets.

19 DR. STAUFFER: What I believe I was
20 trying to get to is that the criteria used which
21 talked about the amount of drug that's
22 available, or the concentration of drug that's

202-464-2400

800-522-2382

1 available from these, would be that other
2 products would have higher -- are being abused
3 in similar fashions, and this product offers a
4 deterrent more difficult, it takes more steps
5 potentially more difficult do get there.

6 DR. KIRSCH: Dr. Lorenz?

7 DR. LORENZ: I guess I'm a bit puzzled
8 because I think, basically, that I don't think
9 there is a reason that an abuser would leave
10 other products intact. They would crush them or
11 do other things to them to make them immediate
12 release because of the advantage to them.

13 DR. KIRSCH: So your question?

14 DR. LORENZ: I'm wondering if we can
15 go to the sponsor's Slides 41 and 42. I'm
16 wondering if you could reiterate at what point
17 in your efficacy study these slides represent?
18 And then two questions about the slide. First
19 of all, I wondered if you could characterize
20 these changes in the Brief Pain Inventory in
21 terms of their clinical as opposed to their
22 statistical significance, because in fact, the

202-464-2400

800-522-2382

1 absolute differences are extremely small. And,
2 secondly, I wondered if you measured the BPI
3 interference scale which I think some persons
4 might consider as an equal -- certainly an
5 equally important indicator of pain impact.

6 DR. STAUFFER: To answer the first
7 part of your question, the BPI scale was used
8 purely for the pain scales, not for
9 interference. It was not used.

10 DR. LORENZ: So intensity alone, was
11 it immediate with the time frame of immediate
12 pain or time frame of one week, both of which
13 are part of the standard BPI potential?

14 DR. STAUFFER: It was an immediate,
15 and also the BPI scales were measured by
16 electronic diary basis. So let me also address
17 the issue of the treatment effect which you see
18 on this slide. As I pointed out in the
19 presentation, this was performed under a special
20 protocol assessment agreement, and we had very
21 specific ground rules as far as conservative
22 imputation methods used in this setting.

202-464-2400

800-522-2382

1 I also mention -- slide up, please,
2 that we have several secondary measures that
3 include the BPI scale that has very
4 significant P values, and that if we look at
5 the clinically significant -- and again
6 recall that this is a randomized withdrawal
7 design study, so there was a large drop in
8 pain from a base of approximately 6.1 to just
9 over 2 with the adding or the addition of
10 ALO-01 and then that randomization to either
11 placebo or ALO-01 continued -- now look at
12 the table on the slide is that the dropouts
13 for placebo patients due to lack of efficacy
14 greatly exceeded those in the ALO-01 group.

15 And by nature of this study, one of
16 the ways of interpreting these results is
17 that the subjects put on placebo as their
18 pain rose as a perceived lack of efficacy
19 dropped out of the study, and the imputation
20 rules required us to use the randomization
21 baseline for those subjects so that you would
22 have lost all of the pain increase that the

202-464-2400

800-522-2382

1 placebo patients would have had if they
2 dropped out early enough -- dropped out
3 before pain levels rose or for adverse
4 events.

5 Let me show you another approach.
6 This was a post hoc analysis of the data but
7 in this case, not using week 12 data only but
8 using area under the curve assessment, using
9 ONCOVA and baseline Brief Pain Inventory
10 scores in curve area. In this case using
11 area under the curve analysis, there is a
12 much greater difference in the placebo group
13 versus the ALO-01 group.

14 And perhaps even more relevant in
15 the clinical setting -- slide up,
16 please -- we see the patients will decide
17 whether a medication is efficacious for them
18 partly by the staying on the medication for
19 reasons of efficacy, and in this setting,
20 analyzing those that dropped out due to lack
21 of efficacy versus those in the placebo
22 versus the ALO-01 group, that there is a

202-464-2400

800-522-2382

1 dramatically larger group of patients
2 dropping out for lack of efficacy in the
3 placebo and a small number in ALO-01.

4 So we feel that this provides in a
5 sense a real-world estimate of the
6 acceptability of the analgesia for the
7 subject in the settling.

8 DR. KIRSCH: Mr. Yesenko.

9 MR. YESENKO: This is for the sponsor
10 on Slide 73, National Opioid Safety Course.
11 Does that not exist already? Or would that be
12 something just for this specific?

13 DR. STAUFFER: That course does not
14 exist already. As I said, it will be, I
15 believe, one month after we launch the products,
16 it would be available to all physicians, and we
17 would work with other groups hopefully to help
18 get the message out that this is something we
19 feel is very important for physicians.

20 One of the things we're concerned
21 about is we want to make sure there is not
22 any untended consequences with our product,

202-464-2400

800-522-2382

1 so we believe that making this available to
2 all physicians -- we will also have one
3 available for pharmacists as well at the time
4 of launch -- and it would be available
5 through the web also, and you can take this
6 paper online, whatever.

7 DR. KIRSCH: Dr. Nussmeier.

8 DR. NUSSMEIER: This is for the
9 sponsor. If we could go to Slide C56. This is
10 the slide regarding drug liking. Did you do a
11 statistical comparison between the crushed
12 product and placebo?

13 DR. STAUFFER: Yes, we did.

14 DR. NUSSMEIER: And what was that?

15 DR. STAUFFER: Between the crushed and
16 the placebo, there is a statistically
17 significance between the two. However, in
18 further analyses of that data, it was determined
19 to be clinically not significant. In fact, we
20 did a number of post hoc analyses based on ankle
21 base methods that we can also discuss. I think
22 Dr. Katz, who is here with us, would be able to

202-464-2400

800-522-2382

1 provide some more clarify to the question.

2 DR. KIRSCH: Dr. Katz, please come to
3 the microphone. There is one right in front of
4 you.

5 DR. KATZ: Yes, one of the questions
6 that arose when we saw results of these studies
7 is which changes were or were not going to be
8 important. I believe the FDA folks were trying
9 to get at that same issue as well. So our first
10 question was whether the reduction in euphoria
11 that was associated with the crushed product was
12 different compared to the immediate release
13 product, which is the proxy for what you would
14 get if you ingested a tampered form of an
15 extended release morphine.

16 So we did a whole project to try to
17 figure out what the actual clinically
18 important difference is of a reduction in
19 euphoria in such a study, which we were
20 surprised to find that did not appear to have
21 been explored before. So we went and figured
22 that out. In terms of the clinically

202-464-2400

800-522-2382

1 important difference of these measures of
2 euphoria, it's in the nine or ten millimeter
3 range, so if you exceed that difference, then
4 that's the difference likely to be clinically
5 important.

6 So the reduction in euphoria on
7 average that was seen with the crushed ALO-01
8 was robustly and clinically significant as a
9 reduction, and not only statistically
10 significant -- and my recollection from when
11 we did the comparisons to look at whether the
12 crushed ALO-01 was clinically meaningfully
13 more euphorogenic than the whole ALO-01, it
14 did not rise to our threshold of clinical
15 importance.

16 DR. NUSSMEIER: And I had one other
17 question. Slide C75, slide 75, it starts out
18 with the reporting of adverse events. How will
19 those events be reported or collected? How will
20 you learn of these events?

21 DR. STAUFFER: Adverse events come
22 into the company through a multitude of ways.

202-464-2400

800-522-2382

1 Sometimes they're reported by physicians
2 themselves, patients, emergency departments,
3 pharmacists, and so in the same way that we
4 collect all adverse events data, we bring that
5 in.

6 One of the things we want to make
7 sure that this medication if used as directed
8 is not posing any further risk than any other
9 one and specifically KADIAN, because it's
10 long-acting morphine, so we will bring those
11 events in. Any event that would fall into
12 the category of an opioid withdrawal as
13 designated by the DSM-IV, we would look at
14 those particular items, and then adjudicate
15 those items and make sure that it is not
16 withdrawal.

17 If in fact it is, then we go down
18 the decision tree analysis here and we bring
19 in an external group of folks to look at
20 this. These would be specialists in the
21 field of opioids and risk management to make
22 sure that our medication is being used as

202-464-2400

800-522-2382

1 directed. If it is not, then we go down the
2 left side of the page. If it is being used
3 as directed, then we would have to address
4 that from a regulatory perspective.

5 DR. KIRSCH: Dr. Burlington.

6 DR. BURLINGTON: Question for the
7 sponsor also. You showed us a development
8 program in which you intentionally identified
9 the minimally effective naltrexone dose to
10 incorporate, and then you started saying it was
11 enough to, at least for most people, reduce
12 their likability reporting. But what if people
13 crush a large quantity and ingest it, do you
14 deliver enough naltrexone to block respiratory
15 depression and potential mechanism for fatality?

16 DR. STAUFFER: We did not specifically
17 study that in the trials, although the folks in
18 these trials were opioid experienced
19 non-dependent subjects. And both IV and in the
20 oral studies they were the same types of
21 patients that were studied.

22 We did other measures in

202-464-2400

800-522-2382

1 pupilometry and also capinography. I believe
2 that I can have Dr. Manning come to the
3 podium to speak on that issue, but it was not
4 specifically looked at as part of the intent.

5 Before I bring Dr. Manning to the
6 podium, one of the things we wanted to
7 do -- and this was in Study 201 -- we
8 replicated that in Study 205 as well, was to
9 simply make sure that we put enough
10 naltrexone in the formulation such that if
11 you took it as directed, it would not pose
12 any ill effect if you're a legitimate chronic
13 pain patient, and that's the most important
14 thing for us.

15 However, you want to put enough in
16 there that it actually does something to
17 minimize the euphoric effects both orally and
18 intravenously. I believe that we've done
19 that, and Dr. Comer showed that data.

20 Maybe I don't need Dr. Manning
21 unless there's any further questions?

22 DR. KIRSCH: Dr. Zuppa.

202-464-2400

800-522-2382

1 DR. ZUPPA: The question is for the
2 sponsor. So the product label for naltrexone
3 states that an increase in naltrexone
4 (inaudible) of approximately five and tenfold in
5 patients with liver disease as compared with
6 normal liver function has been reported. So my
7 concern is that there would be drug accumulation
8 of the naltrexone and in the population of
9 patients with hepatic impairment, and I was just
10 wondering if you guys plan on addressing that
11 either with future studies or in the product
12 label?

13 DR. STAUFFER: I'll bring Dr. Manning
14 to the podium to discuss the issues on
15 accumulation, and then we'll take the question
16 further.

17 DR. MANNING: Within our study, we had
18 no patient because of the inclusion and
19 exclusion criteria, that met higher categories
20 of liver malfunction. We plan on proposed
21 labeling to caution against those that have
22 severe liver disease. We are also very

202-464-2400

800-522-2382

1 interested in working with the FDA if there are
2 special at-risk populations to address but at
3 this point, the proposed labeling has a warning
4 against those with severe liver disease.

5 DR. KIRSCH: Dr. Rosebraugh.

6 MR. ROSEBRAUGH: Current methods of
7 advanced drug users include placing the material
8 in a spoon and heating it for injection or
9 subcutaneous use, snorting the drug or smoking.
10 Are any of these alternatives expected to
11 substantially reduce the safety that you
12 believe -- the increased safety in your product
13 that's treated more like a regular morphine
14 procurement?

15 DR. STAUFFER: The studies that we
16 performed were oral and intravenous, and the
17 intravenous study, what was a proxy for what
18 would happen if someone were to crush the
19 medication. So if you don't mind, if you could
20 clarify the question about safety.

21 DR. ROSEBRAUGH: The meaning that they
22 would defeat the ability of the added agent to

202-464-2400

800-522-2382

1 reduce its euphoria under those circumstances of
2 usual misuse.

3 DR. STAUFFER: The ways that abusers
4 and even more hard core abusers -- let me say
5 addicts -- may try to defeat these systems is
6 simply by crushing. We know that that's one of
7 the quickest and easiest ways to do it. The
8 presence of naltrexone in that situation clearly
9 makes these medications less attractive to those
10 people.

11 Dr. Comer pointed out that if it
12 becomes less attractive, then there are
13 clearly other medications on the market with
14 extended release and immediate release that
15 they would likely go to. In this case, we
16 believe that this is why it may deter them
17 from attempting to tamper with this
18 medication in that way.

19 Dr. Vincek pointed out that there
20 are ways that a very determined abuser may
21 want to try to manipulate the formulation,
22 and there is no formulation that's perfect.

202-464-2400

800-522-2382

1 The more important thing that we're really
2 trying to advance here is that we know that
3 there is a problem with prescription opioid
4 abuse. We're trying to make these changes in
5 a fundamental way but in a very safe way.
6 Ultimately, the most important group is the
7 patient with pain.

8 DR. KIRSCH: I don't think you
9 answered the question. So I'm going to rephrase
10 it. If one were to take the preparation either
11 crushed or in its whole form and try to change
12 it to smoke it or to put it in a spoon and melt
13 it, what would its effect be in comparison to
14 immediate release morphine?

15 DR. STAUFFER: So if you were to crush
16 it, you would get a very similar response that
17 you would get just as if it was a long-acting
18 morphine. I believe the other studies that we
19 had in Study 205 showed that you get no more or
20 less statistically significant euphoria in that
21 setting. If you are able to extract the
22 morphine by itself without the naltrexone -- the

202-464-2400

800-522-2382

1 comparison that I just made there was the
2 comparison between a long-acting opioid if taken
3 as directed and a crushed ALO-01.

4 So whether it's a long-acting
5 MS-Contin preparation or long-acting KADIAN
6 or any of those, if you crush ALO-01, it
7 looks similar, from a euphoria perspective,
8 to those medications. If you are able to
9 extract the morphine by itself without any
10 naltrexone after using multiple methods to
11 tamper with it, then it will be no different
12 than you would get if you were simply to
13 crush a long-acting opioid formulation.

14 DR. ROSEBRAUGH: Can you smoke this
15 product?

16 DR. STAUFFER: I've never tried to
17 smoke the product, and I don't think it's a good
18 idea that anyone does that. We have not done
19 formal studies looking at smoking. It is a
20 formulation that is beads in a capsule, so if
21 you are able to crush those beads somehow, you
22 will need to be able to get that into some type

202-464-2400

800-522-2382

1 of form to smoke it. We haven't done those
2 types of studies.

3 DR. KIRSCH: Dr. DeWit.

4 DR. DeWIT: This is sort of an issue
5 that you've mentioned a couple of times, that
6 the users would have to be very motivated to
7 extract, but is it not possible within 10 or 15
8 minutes to get most of the morphine out without
9 very much of the naltrexone?

10 DR. STAUFFER: There are methods that
11 were described by Dr. Vincek earlier today and
12 some here as well. You really need to know what
13 you are doing and you need to know timing, and
14 when you put all those steps in place, that's
15 one choice or you could simply go and crush it,
16 another long-acting morphine, or just take an
17 immediate release morphine and do that.

18 And so those are the steps that
19 make a product like this less attractive. In
20 the presence of other long-acting
21 preparations that are out there, we believe
22 that this medication provides those

202-464-2400

800-522-2382

1 roadblocks in the way, but nevertheless
2 nothing is perfect. You are correct. I
3 mean, nothing is perfect.

4 DR. KIRSCH: Dr. Kerns.

5 DR. KERNNS: I guess I'm wondering.
6 I'd like you to just comment about the potential
7 for adverse events in a person prescribed this
8 medication that's dependent on the medication
9 taking higher doses for overdose and/or
10 withdrawal as a function of some unforetold
11 outcome related to the release of naltrexone
12 and/or of course in the substance abusing
13 population, this is a deterrent, but if they do
14 find a way to do it, are they at increased risk
15 or death, for example?

16 DR. STAUFFER: We did not study those
17 patients specifically. Everybody that was in
18 the trials was dependent on the opioid. They
19 were patients with chronic pain and that
20 includes Study 301, 302 and 202. I think maybe
21 what you're talking about are patients who are
22 also likely to abuse because of a history of

202-464-2400

800-522-2382

1 substance abuse, and we did not study those
2 patients specifically in the trial. However,
3 that is one of the patient populations that we
4 actually want to look at in a randomized control
5 trials, and also epidemiologic trials to sort
6 that issue out. We believe that that's
7 important to understand going forward. But for
8 now, if it was taken as directed for the target
9 population which is patients with chronic pain,
10 we have no evidence of any type of withdrawal in
11 that case.

12 DR. KERNS: So we do know, though,
13 that you even made an attribution in one of your
14 studies that people that had adverse events were
15 because of non-compliance. I was curious about
16 how you made that decision. People do make
17 errors, particularly people with severe pain,
18 with other medical psychiatric comorbidities who
19 might be particularly high doses of this
20 medicine; is there, relative to other extended
21 release morphine products on the market, is
22 there a potential of a greater risk related to

202-464-2400

800-522-2382

1 adverse outcomes if they take this medication
2 inappropriately?

3 DR. STAUFFER: The potential is there
4 because maybe the presence of naltrexone. If
5 it's used as directed, we didn't see that in any
6 of the trials, but I certainly understand your
7 point. I would like to bring Dr. Manning to
8 discuss further some of the ways that we looked
9 at those folks who went into withdrawal and
10 those who didn't. Actually, we didn't have
11 anyone who went into withdrawal if used as
12 directed.

13 Dr. Manning.

14 DR. MANNING: In the core
15 presentation, I presented the COWS score, and
16 there were ten people with definable withdrawal
17 syndrome. Within that, there were approximately
18 five of those patients had withdrawal due to
19 being assigned to placebo -- it is as if they
20 were removed from the drug itself. The other
21 patients within the ten, when we looked back on
22 their histories of taking the medication and

202-464-2400

800-522-2382

1 also looking back on the records in the sites,
2 we find that there were days where the drug was
3 stopped, and as typical of the withdrawal
4 syndrome, it took about a day or so before the
5 withdrawal syndrome started.

6 That would be no different than,
7 say, if they had a standard extended release
8 opioid preparation. So there is no increased
9 risk in this setting, where just not taking
10 the medication would lead to an abstinence
11 syndrome.

12 We did look to the ability of the
13 very low detectable levels of naltrexone to
14 initiate withdrawal like signs of symptoms
15 and -- slide up -- that we have subjects
16 separated by those that were opioid-naïve
17 versus those that were opioid-experienced.
18 These actually are the general adverse events
19 in this population. And with the exception
20 of something such as somnolence, there really
21 is no major difference between them.

22 DR. KIRSCH: Dr. Kramer.

202-464-2400

800-522-2382

1 DR. KRAMER: Dr. Kirsch, just one
2 question. I have two questions. Should I just
3 ask one now and re-rotate?

4 DR. KIRSCH: You can ask both.

5 DR. KRAMER: I would like to change
6 gears for a minute and ask a question of the
7 FDA, maybe a question/comment. I didn't see a
8 place in the questions that we're going to
9 address this afternoon directly addressing the
10 REMS strategy, and so I wanted to bring up a
11 concern I have.

12 I probably should say that I'm
13 coming from a perspective of having evaluated
14 one of the earlier risk management programs
15 and research studies to see the impact of the
16 (inaudible) and also being involved with some
17 research to evaluate the knowledge retained
18 or information retained from patients reading
19 med guides.

20 And I have some concerns about
21 unintended consequences of risk management
22 programs, well-intended programs, but the

202-464-2400

800-522-2382

1 unintended impact on practitioners, and also
2 on the use of patients who are legitimate
3 patients.

4 So what I'm concerned about is not
5 specific to this sponsor's program, but the
6 issue that -- you may have mentioned it
7 Dr. Rappaport -- if each company comes up
8 with its own independent program and everyone
9 has their packet and this hugely expanded
10 amount of information, each practitioner, if
11 you are taking it from the perspective from a
12 family practitioner receiving each company's
13 materials, educational, much of which is in
14 similar sorts of things and the risk of how
15 to reduce misuse of opioid, et cetera, and
16 then the specifics of their product, it's
17 very overwhelming for those busy
18 practitioners dealing with patients every
19 day.

20 And I just wondered if you could
21 comment on the potential of getting some kind
22 of integrated program. There's also

202-464-2400

800-522-2382

1 reluctance of the individual practitioner to
2 feel comfortable that the material may not be
3 somewhat influenced by the marketing
4 instincts of each individual company. I just
5 wondered if there is a possibility that you
6 might develop some kind of integrated
7 education. I realize it's not just the FDA's
8 responsibility, but could you comment on the
9 aggregate effect of these programs.

10 DR. RAPPAPORT: Sure. As I said
11 yesterday, we're still in the infancy of
12 understanding what our authorities are under the
13 new law in regard to REMS, but as we sort
14 through this, the one clear voice that we have
15 on this is that it really would be appropriate
16 to have all the companies who have potent
17 opioids work together to have some type of REMS
18 program so that that would address all of the
19 concerns that you are raising.

20 Now, whether we have the authority
21 to make them do that is something we're still
22 exploring, and the lawyers are looking into

202-464-2400

800-522-2382

1 what the law actually says. But we certainly
2 would be strongly encouraging that, and for
3 other reasons you said that.

4 DR. KIRSCH: Your second question.

5 DR. KRAMER: My second question is for
6 the sponsor. I wondered if you could
7 describe -- I understand there may be ongoing
8 studies to assess the effects of IV
9 administration of extracted product, and I'm
10 just wondering if you could comment on what
11 those studies are?

12 DR. STAUFFER: You mean in vitro or in
13 vivo studies?

14 DR. KRAMER: In vivo.

15 DR. STAUFFER: In vivo. We have no in
16 vivo human studies ongoing and plan ongoing
17 specifically for that issue.

18 DR. KRAMER: Do you have any in vivo
19 animal studies?

20 DR. STAUFFER: I will let Dr. Vincek
21 comment on those. I believe that's what you
22 mean.

202-464-2400

800-522-2382

1 DR. VINCEK: Yes, we have an ongoing
2 study in rabbits to look at ear vein irritancy.
3 Under certain conditions, it is possible for the
4 attempt to extract it to yield some material
5 that's in suspension which could result in vein
6 irritancy. The type of material that's in the
7 product is the same that's common in many
8 products that are on the market today, both
9 opiates -- extended release opiates and
10 immediate release.

11 The most common it would cause vein
12 irritancy is talc. That is in our product,
13 and those solutions are undergoing the rabbit
14 ear vein irritancy test.

15 DR. KRAMER: So you're not studying
16 the effects systemically in any animal, just the
17 vein irritancy.

18 DR. VINCEK: Not at this point, no.

19 DR. KRAMER: So you are making
20 assumptions that would (inaudible) demonstrate
21 it.

22 DR. VINCEK: That is correct. Part of

202-464-2400

800-522-2382

1 the reason for that is also we have KADIAN on
2 the market and in 12 years, we have not had any
3 issues that we are aware of that would say that
4 there is a problem with this product in that
5 regard.

6 DR. KIRSCH: Mr. Yesenko.

7 MR. YESENKO: You just stated
8 what -- what was your last statement?

9 DR. VINCEK: I said KADIAN, which has
10 been on the market for 12 years, we have not had
11 any incidents where there has been a reaction
12 due to IV problems that have been reported to
13 us.

14 MR. YESENKO: Okay. This is for
15 Dr. Nallani from the FDA. Slide 7, unresolved
16 issues, studies on IV abuse of morphine, study
17 No. 106 IV morphine and IV naltrexone solutions,
18 it is not safe to administer crushed ALO-01
19 product IV, that the sponsors indicated that
20 animal studies are underway to address safety.

21 Is that the rabbit deal?

22 DR. NALLANI: Yes, it is, sir.

202-464-2400

800-522-2382

1 MR. YESENKO: Okay. Thank you.

2 DR. HERTZ: This product, we know
3 already contains talc, so really, there are no
4 implications from injecting that, so in terms of
5 the safety of injecting it in contrast to
6 perhaps some of the conversations yesterday, I
7 think we have some very different situations
8 here. Are there any other questions about that
9 one element? And we wouldn't allow a human
10 study of injection. Do we have any others or is
11 that question sort of settled?

12 DR. KIRSCH: Dr. Denisco.

13 DR. DENISCO: This is a question for
14 the sponsor. If we could go back to Slide C29
15 on the extraction from intact pellets, on
16 solvents 4, 5 and 6, and solvent 1, prior to six
17 hours or four hours, would the extractability
18 change -- in that the potential to minimize
19 abuse would be instead a yes or no answer.
20 That's based on some of the -- I can't make it
21 more clear because it's the --

22 DR. STAUFFER: Correct. Would you

202-464-2400

800-522-2382

1 mind just repeating it so I'm sure I've gotten
2 exactly what you're asking.

3 DR. DENISCO: On that slide for
4 solvents 4, 5 and 6 specifically, also one,
5 prior to extraction times of six hours or four
6 hours, would the extraction be such that the
7 potential to minimize abuse would not be an
8 advantage? In other words, is it only after six
9 hours that the potential to minimize abuse
10 exists?

11 DR. STAUFFER: What we are trying to
12 depict in the table that in these particular
13 solvents the levels of morphine would be very
14 low, or concentrations would be very low with
15 the morphine in it, so the abuse of those
16 products would require many additional steps to
17 potentially get the morphine into a
18 concentration or an amount that would make it
19 more abusable. That's why it has a potential to
20 minimize abuse.

21 DR. DENISCO: What would happen
22 between hours 0 to 6?

202-464-2400

800-522-2382

1 DR. STAUFFER: The amount of morphine
2 that you're extracting would be low, very low,
3 and so the solution you end up with would have a
4 small amount or a low concentration, in which
5 case it would require either additional steps if
6 you wanted to go through that process --

7 DR. DENISCO: So even if there was no
8 crushing?

9 DR. STAUFFER: Yes, that's what I'm
10 trying to say, yes.

11 DR. KIRSCH: Dr. Lorenz.

12 DR. LORENZ: The question I have is
13 for the FDA as well as for the sponsor, and it
14 relates to two slides that are related to,
15 actually, Study 205 -- Slide C58 for the sponsor
16 and it's from Dr. Nallani's presentation. So on
17 the one hand, I think it's potentially very
18 helpful, although not necessarily so, that we
19 have subjective data from patients instead of
20 intermediate end point that might not be related
21 to subjective outcomes.

22 But on the other hand, there is

202-464-2400

800-522-2382

1 some significant differences in the way that
2 the data is presented between slides four in
3 Dr. Nallani's presentation, and Slide C58 and
4 in fact, if I understand it correctly, it is
5 really core data from the company's
6 standpoint in terms of how we should
7 understand the potential clinical impact of a
8 change in this for its abusability.

9 I think from a company standpoint,
10 you have chosen to present values without an
11 indication of whether they represent medians,
12 mediums or exactly what, and also, I think
13 again, rather than understanding statistical
14 significance, we really would like to
15 understand the clinical significance of the
16 absolute difference.

17 And from Dr. Nallani's
18 presentation, in fact, that seems to be the
19 very point, that perhaps this variability
20 doesn't -- doesn't really enlighten a
21 clinically important difference. So I would
22 like both of you to address the extent in

202-464-2400

800-522-2382

1 which your data shows us how this is
2 clinically important.

3 DR. STAUFFER: I would like to have
4 Dr. Comer address these issues, please.

5 Dr. Comer.

6 DR. COMER: My name is Sandy Comer
7 from Columbia University. We have conducted
8 several studies of an injectable form,
9 long-lasting form, of naltrexone for treating
10 opiate dependence. So we have lab studies where
11 we measured subjective responses like they did
12 in the Alpharma studies, and we have a clinical
13 trial using the same doses of naltrexone for
14 treating opiate dependence.

15 So what we did was a comparison of
16 the amount of reduction in subjected
17 responses, and tried to match map that onto
18 clinical outcome. And what we found was the
19 amount of reduction that we had in the
20 Alpharma studies actually far exceeded the
21 amount that was necessary to obtain
22 clinically meaningful reductions in opiate

202-464-2400

800-522-2382

1 use.

2 DR. LORENZ: That's a mean reduction.
3 And what is the mean reduction in that case that
4 is reflected in the statistical portrayal on
5 Slide C58?

6 DR. STAUFFER: So for the mean
7 reduction for all of these different end points
8 when you're looking at morphine to placebo or
9 morphine to ALO-01, which is essentially the
10 same, those mean reductions on the scale of 0 to
11 100 well exceeds in the order of 15 to 20
12 millimeters, and Dr. Katz said that if you're
13 about ten or beyond, you've done something
14 meaningful. And that's true for every secondary
15 variable as well.

16 DR. KIRSCH: FDA response, please.

17 DR. NALLANI: The point of providing
18 that slide was to show the huge variability in
19 the data, and in fact, we are hoping that the
20 sponsor will explain the kind of elements of the
21 changes, considering the huge variability.

22 DR. KIRSCH: Same point back on

202-464-2400

800-522-2382

1 Slide C56. Comparing instant release morphine,
2 which is a different kind of product, gives more
3 favorable figures as shown in 58, but let's
4 focus just on crushed ALO-01 versus whole
5 ALO-01.

6 The peak value for the difference
7 in terms of likability is 0.875, and I think
8 you earlier said there is no clinical
9 significance. One of the main reasons for
10 including naltrexone in here is that if they
11 crushed the pellets, then they will release
12 naltrexone, which will presumably have a
13 different effect on their likability than if
14 they were just taking the whole tablet.

15 And what you see is for the whole
16 tablet, the likability starts going up
17 towards 6 or 8 hours. So have you ever done
18 a study where you compare crushed ALO-01 with
19 whole -- again, some other extended release
20 morphine, to try and see whether there is
21 some advantage there of an existing product.

22 If you are putting something in to

202-464-2400

800-522-2382

1 deter likability, and in fact, the likability
2 is no different, according to your own
3 statistics here, then the whole product --
4 what is going on here. I mean, have you done
5 that study, first of all, comparing crushed
6 ALO-01 with another extended release product
7 that is whole?

8 DR. STAUFFER: No, we have not done
9 that study. The study that we did do was versus
10 the product or any other, say, long-acting
11 morphine product that you would crush. You
12 could call it KADIAN or MS-Contin or any of the
13 generics or Avinza. That's what the blue line
14 essentially represents. If taken whole, all of
15 the extended release products, at least ALO-01
16 and in this case KADIAN as well, would operate
17 much like the green line that you see on the
18 graph.

19 The most important comparison that
20 we wanted to make with this study was really
21 for assay sensitivity, the blue line versus
22 the white line, so doing nothing versus

202-464-2400

800-522-2382

1 crushing an immediate release morphine.

2 And then the second piece was
3 comparing the crushed product, the yellow
4 line, versus the blue line, and clearly those
5 are statistically clinically significant
6 relevant. More importantly, we wanted to
7 demonstrate even a comparison even between
8 the yellow and the green line, or the
9 crushed, if you just took a long-acting
10 product you can see there by the P value,
11 it's essentially no different.

12 The point of the six hours is that
13 this is a long-acting preparation, and so at
14 about the six-hour mark, you can see the
15 green line going up because that's the effect
16 of the morphine coming out. This is
17 important for long-acting pain relief for
18 patients.

19 DR. KIRSCH: We're limited on time, so
20 I'm going to go to the next person, Dr.
21 Krivacic.

22 DR. KRIVACIC: Thank you. With regard

202-464-2400

800-522-2382

1 to the clinical program, I have a question for
2 the sponsor. I'm sorry my back is to you. My
3 question is regarding drug accountability. I
4 did see this in the documents, the briefing
5 documents, that we were given. I don't remember
6 which particular study, if that was 301 or 302,
7 but if you can please speak to that in terms of
8 what type of patients those were that did not
9 return the bottles or -- you know, where they
10 lost the bottles, were those the more
11 experienced opioid patients; was that in
12 uncontrolled trial or was that in the controlled
13 trial?

14 Thank you.

15 DR. MANNING: Throughout our study,
16 particularly in studies 301 and 302, there were
17 several instances of drug accountability issues.
18 There was no consistent thread that went through
19 the accountability problems. For instance, one
20 was a patient who was in an alcohol recovery
21 program, and when his counselor found out about
22 it, made him flush all the tablets or the

202-464-2400

800-522-2382

1 capsules down the toilet and we could not
2 account for that.

3 But there was another where
4 patients just didn't return the entire
5 bottle. There were a few capsules that were
6 unaccounted for in that setting. We did not
7 see a consistent pattern of whether the
8 subject patients were opioid-experienced in
9 the past or not. There was one occasion
10 where the capsules were returned with a few
11 less than what they were. Of that batch of
12 the entire number of accountability issues,
13 there was no consistent pattern throughout
14 there.

15 DR. KIRSCH: We are going to have a
16 total of four more questions of people who are
17 on my list. Next is Dr. DeWit.

18 DR. DeWIT: I have a brief comment and
19 then a question for the sponsor. My brief
20 comment has to do with the distinction between
21 clinically significant and statistically
22 significant, and it sounds to me like you're

202-464-2400

800-522-2382

1 using that a little bit to your advantage.

2 Since the abuse liability study,
3 you had statistically submitted a difference
4 between the crushed and the placebo, but you
5 are saying it's clinically not significant,
6 and yet on the efficacy study, you have a
7 significance of .045 and you are saying
8 that's clinically significant. Yeah, that's
9 just -- it is clinically significant, so just
10 a comment on the use of that distinction and
11 it needs a little bit of follow-up.

12 But my question has to do with the
13 individual liability in the abuse liability
14 study, where it sounds as though there were a
15 few subjects who did report the sudden
16 euphoria that you would get without the
17 naltrexone, and we saw a hint of that in the
18 variance of that in what FDA showed, and I
19 was wondering if you could comment on
20 individual subjects.

21 Were there 10 or 20 percent of the
22 subjects who did report maximal euphoria

202-464-2400

800-522-2382

1 after the product?

2 DR. STAUFFER: I'm going to let
3 Dr. Comer answer or Dr. Katz answer the second
4 question first, and then I'll come back to your
5 other question on clinical significance and
6 efficacy --

7 DR. DeWIT: I don't really need any
8 more expansion. I'm just noticing that.

9 DR. STAUFFER: There were some
10 subjects in those trials who had no response
11 whatsoever to the crushed ALO-01. There is high
12 variability in some of those subjects. Some of
13 those subjects also had baseline differences as
14 well.

15 DR. DeWIT: I guess the question is,
16 were there a few who were reporting high
17 euphoria in the presence of naltrexone?

18 DR. STAUFFER: A few reporting high
19 euphoria --

20 MS. POLLOCK: There were four.

21 DR. STAUFFER: There were four
22 subjects who reported euphoria, but it's not

202-464-2400

800-522-2382

1 that much different than what you would get IR
2 morphine. I think that's where you're going.
3 We had some of those subjects, yes, with the
4 crushed product.

5 DR. KIRSCH: Dr. Katz.

6 DR. KATZ: I don't remember the number
7 of subjects that had more than threshold amounts
8 of euphoria in the naltrexone group. I think
9 that the point to me is that there is a lot of
10 variability in these studies as there is in all
11 clinical trials. It is absolutely the case that
12 not all subjects in the study who received the
13 morphine/naltrexone combination showed
14 reductions in euphoria, as one would expect, and
15 I think that the FDA slide showed that very
16 clearly.

17 The question to me is whether a
18 product like this that shows an average
19 reduction in euphoria that is robustly
20 clinically sufficient can still accomplish
21 its public health goals of reducing
22 prescription opioid abuse, despite the fact

202-464-2400

800-522-2382

1 that there is some outliers who will not be
2 affected by the inclusion of the naltrexone.

3 For example, Talwin Nx, there are
4 reports in the literature of people very
5 happily injecting themselves with Talwin Nx
6 and getting high, despite the fact that on a
7 societal level, the abuse of that product
8 went down dramatically after the introduction
9 of that medication, and adds to the shift of
10 distribution of the euphoria that it
11 produced.

12 Similarly, Suboxone and Naloxone
13 continue preparation and there are case
14 series in the literature of people very
15 happily injecting themselves with Suboxone
16 and getting high, but yet when you look at
17 the countries in which Subutex was first
18 introduced and then switched to Suboxone, we
19 see significant reductions in the abuse of
20 buprenorphine as a result of the introduction
21 of the Naloxone-containing preparation. So I
22 think the answer to the big question is if

202-464-2400

800-522-2382

1 you can produce a shift in the distribution
2 of euphoria that on average is clinically
3 meaningful, despite the fact that there is
4 some outliers that will not be individually
5 affected by the inclusion of the antagonist,
6 you still can accomplish your public health
7 goals.

8 DR. KIRSCH: Thank you. Dr. Paulozzi.

9 DR. PAULOZZI: Question for the
10 sponsor and it has to do with the map on
11 slide 70, which is the surveillance of
12 (inaudible) capsule abuse. I was puzzling over
13 this. I assume that these measures of the
14 percent or number of 100 clients in the
15 substance abuse treatment programs represented
16 by the dots who reported misusing KADIAN in a
17 particular calendar quarter. Is that correct?

18 DR. STAUFFER: That's correct, it's in
19 that specific area.

20 DR. PAULOZZI: So that one dot on the
21 border of Alabama and Tennessee is representing
22 Alabama, for example. But my question is how do

202-464-2400

800-522-2382

1 you use this to interpret geographic variations,
2 or whatever you want to do with it without the
3 denominator of the number of prescriptions that
4 could be in either of those communities that are
5 represented by the individual effects?

6 DR. STAUFFER: If I could have this
7 slide up, please. This data is only one data
8 stream, and to your point, it only represents
9 one. There are multiple sources that we use for
10 surveillance. This one is only looking at those
11 folks in the inpatient treatment center in that
12 locale. They may be getting the drug from other
13 places, maybe even from other geographic
14 distributions as well. This only represents
15 where we can pick it up. So I think you are
16 fair in your assessment when you say there is
17 going to be some variability there.

18 DR. PAULOZZI: I'm saying that it's
19 very hard to use the data without the sense of
20 the volume in the community as a true measure of
21 risk.

22 DR. STAUFFER: The volume does not

202-464-2400

800-522-2382

1 necessarily come from the prescribers in that
2 community. It could come from other prescribers
3 in other areas. It does simply represent the
4 place at least input where we can start
5 gathering the information -- understanding the
6 good thing that we liked about it is that it can
7 give us that real time data and we can at least
8 react to it on a monthly basis because all that
9 data is uploaded into the database.

10 DR. KIRSCH: Dr. Brull.

11 DR. BRULL: Thank you. This is a
12 disadvantage here to going last. I wanted to go
13 back to one of the questions, and perhaps this
14 is going to be revisited, but I still don't have
15 a clear picture of what the answer was. In the
16 FDA data, they showed clearly that the
17 variability of response was very large, and we
18 all know that all patients have a very wide
19 variability in responses to drugs, all drugs,
20 but particularly narcotics.

21 So my question is, is the
22 variability in the data that you showed any

202-464-2400

800-522-2382

1 different than the variability of the data
2 that's in this ALO-01 compound? So is the
3 standard deviation or the variability greater
4 with ALO-01 than it is with extended release
5 morphine?

6 DR. KIRSCH: If I could just get
7 clarification. Are you speaking specifically to
8 the sponsor or --

9 DR. BRULL: I'm sorry, this is to the
10 FDA. Thank you.

11 DR. NALLANI: That's a good question.
12 I do not have the final deviation numbers for
13 each treatment. Sorry, but that is something we
14 will definitely look at.

15 DR. KIRSCH: The last question of the
16 session is from Dr. Rosenberg.

17 DR. ROSENBERG: This is Dr. Rosenberg.

18 I was wondering about the
19 confidence that you have in the DAWN data
20 given that DAWN comes from retrospective
21 chart review of emergency room visits, and
22 heroin would show up on those drug screens as

202-464-2400

800-522-2382

1 morphine unless they actually injected the
2 heroin right outside the door of the ER.
3 Whether or not the people reviewing the
4 charts use drug screens of either blood or
5 urine that show positive for morphine, would
6 they be recorded as morphine when in fact it
7 was actually heroin use? Or that the doctor
8 would quiz the patient saying your drug
9 screen shows heroin so it's morphine --

10 DR. COMER: So your question is
11 regarding the DAWN data?

12 DR. ROSENBERG: Whether or not you
13 believe that the numerator is an accurate
14 figure, unless you have some other way of
15 getting to that numerator -- and if that
16 numerator is inaccurate, does that suggest to
17 you that perhaps the amount of morphine used or
18 at use leading to significant morbidity in our
19 emergency rooms is actually overstated?

20 DR. COMER: The reports themselves are
21 actually coming from the emergency room visits
22 from the medical records and a lot of them are

202-464-2400

800-522-2382

1 not tox-screened confirmed.

2 At the same time, what Dr. Poiliame
3 presented was what reported -- and what was
4 reported for the extended release products
5 was that a large percentage of them were
6 MS-Contin. I'm trying to recall what she
7 said was for immediate release.

8 First of all, they will have more
9 than one drug on board in all likelihood. I
10 think she said 80 percent had four or five
11 drugs onboard. So the people who wind up in
12 the emergency room have more than one drug
13 onboard. At the same time, they are
14 reporting whether it was OxyCodone, if it was
15 morphine, if it was Hydrocodone.

16 So the error bars are large, but I
17 have confidence that when a reporting is
18 morphine, yes, it's morphine. But the error
19 bars are large. So I don't have confidence
20 in the numbers -- the ratio I provided is not
21 a precise estimate. It was simply examining
22 in the context of drug utilization -- are the

202-464-2400

800-522-2382

1 numbers we're seeing the result of, you know,
2 low use, low numbers, or is it high use, high
3 numbers, or what we are seeing is that some
4 drugs are more likely to be abused than
5 others? And so we are seeing differences in
6 extended release and immediate release.

7 Does that answer it?

8 DR. KIRSCH: Thank you. I apologize
9 to the Committee and to the audience that we
10 have run a couple of minutes over time, but I
11 think the discussions have been well worth it.

12 I have two announcements. First,
13 FDA and this Committee favor public input,
14 and therefore, it's important that we hear
15 from you. If anyone who has registered for
16 the open public hearing but has not signed in
17 please see the desk immediately. You won't
18 be allowed to speak unless you signed in.

19 Second, we are now going to break
20 for lunch. We will reconvene in this room in
21 one hour from now, which will be ten minutes
22 after one. Please take any personal

202-464-2400

800-522-2382

1 belongings you may want with you at this
2 time.

3 Committee members, please remember
4 that there should be no discussions of the
5 meeting during lunch amongst yourselves or
6 any member of the audience.

7 Thank you.

8 (Whereupon, at approximately
9 12:10 p.m., a luncheon recess was
10 taken.)

11 * * * * *

12
13
14
15
16
17
18
19
20
21
22

202-464-2400

800-522-2382

