

UNITED STATES OF AMERICA
 DEPARTMENT OF HEALTH AND HUMAN SERVICES
 FOOD AND DRUG ADMINISTRATION

+ + +

CENTER FOR DEVICES AND RADIOLOGICAL HEALTH
 MEDICAL DEVICES ADVISORY COMMITTEE

+ + +

OPHTHALMIC DEVICES PANEL

+ + +

March 27, 2009
 8:00 a.m.

Hilton Washington DC North
 620 Perry Parkway
 Gaithersburg, Maryland

PANEL MEMBERS:

JAYNE S. WEISS, M.D.	Temporary Voting Chair
TIMOTHY B. EDRINGTON, O.D.	Voting Member
ALICE Y. MATOBA, M.D.	Voting Member
KAREN BANDEEN-ROCHE, Ph.D.	Temporary Voting Member
JANET S. SUNNESS, M.D.	Temporary Voting Member
JANET SZLYK, Ph.D.	Temporary Voting Member
DAVID C. MUSCH, Ph.D.	Temporary Voting Member
EVE J. HIGGINBOTHAM, M.D.	Temporary Voting Member
FREDERICK L. FERRIS, III, M.D.	Temporary Voting Member
BARBARA A. NIKSCH	Industry Representative
RICHARD T. BUNNER	Consumer Representative
JAMES P. SWINK	Acting Executive Secretary
DEBORAH FALLS	Executive Secretary in Training

Free State Reporting, Inc.
 1378 Cape Saint Claire Road
 Annapolis, MD 21409
 (410) 974-0947

FDA REPRESENTATIVES:

MALVINA B. EYDELMAN, M.D., Director
Division of Ophthalmic and Ear,
Nose and Throat Devices

KESIA Y. ALEXANDER, Ph.D.
Chief, Intraocular and Corneal
Implants Branch

KWAME O. ULMER, M.S.
Chief, Diagnostic and Surgical Devices Branch

DANICA MARINAC-DABIC, M.D., Ph.D.
Director, Division of Epidemiology
Office of Surveillance and Biometrics

JERRETTA WOOD, Director
Advisory Panel Program

FDA PRESENTERS:

DON CALOGERO, M.S.
BERNARD P. LEPRI, O.D., M.S. M.Ed.
GENE HILMANTEL, O.D., M.S.
YAO HUANG, Ph.D.
MICHELE BONHOMME, Ph.D.

SPONSOR REPRESENTATIVES:

HENRY EDELHAUSER, Ph.D.
JANET WITTES, Ph.D.
YI-JING DUH, Ph.D.

SPONSOR PRESENTERS:

ALLEN HILL, Chief Executive Officer
ELI PELI, M.Sc., O.D.
STEPHEN S. LANE, M.D.
JUDY GORDON, D.V.M.
DOYLE STULTING, M.D., Ph.D.
OLIVER SCHEIN, M.D., M.P.H.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

OPEN PUBLIC SPEAKERS:

MRS. MARIAN ORR
MR. BILL FULMER
MRS. JOANN PREECE
DR. GLENN L. STOLLER
MRS. JANET GRANT
DR. HENRY HUDSON
MR. DAN ROBERTS

INDEX

	PAGE
CALL TO ORDER - Jayne S. Weiss, M.D.	7
INTRODUCTIONS	7
CONFLICT OF INTEREST STATEMENT - James P. Swink	10
APPOINTMENT TO TEMPORARY VOTING MEMBER STATUS AND TEMPORARY CHAIR STATEMENTS - James P. Swink	13
GENERAL ANNOUNCEMENTS - James P. Swink	14
FDA UPDATES	
Malvina B. Eydelman, M.D.	16
Kesia Y. Alexander, Ph.D.	16
Kwame O. Ulmer, M.S.	19
Danica Marinac-Dabic, M.D., Ph.D.	22
COMMITTEE DELIBERATIONS - IMT TM IMPLANTABLE MINIATURE TELESCOPE (P050034)	
Open Public Hearing	
Mrs. Marian Orr	34
Mr. Bill Fulmer	37
Mrs. Joann Preece	41
Glenn L. Stoller, M.D.	42
Sponsor Presentation	
Introduction - Allen Hill, CEO	49
Vision Science - Eli Peli, M.Sc., O.D.	51
Surgical Overview - Stephen S. Lane, M.D.	57
Study Design - Judy Gordon, D.V.M.	62
Efficacy & Safety - Doyle Stulting, M.D., Ph.D.	65

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

INDEX

	PAGE
Risk Reduction Strategies - Oliver Schein, M.D., M.P.H.	81
Panel Questions for Sponsor	89
FDA Presentation	
Introduction - Don Calogero, M.S.	136
Clinical Issues - Bernard P. Lepri, O.D., M.S. M.Ed.	137
Specular Microscopy - Gene Hilmantel, O.D., M.S.	149
Statistical Comments - Yao Huang, Ph.D.	173
Postmarket Approval - Michele Bonhomme, Ph.D.	179
Panel Questions for FDA	190
Sponsor Addresses Outstanding Issues from Morning Session	206
Panel Discussion of PMA P050034 and FDA Questions	
Question 1a	212
Question 1b	240
Question 2a	241
Question 2b	242
Question 3	255
Question 4	264
Question 5	267
Question 6	270

INDEX

	PAGE
Question 7a	294
Question 7b	295
Question 7c	299
Question 7d	310
Open Public Hearing	
Mrs. Janet Grant's letter read by Henry Hudson, M.D.	317
Henry Hudson, M.D.	319
Mr. Dan Roberts	322
FDA and Sponsor Closing Comments	
FDA (None)	327
Sponsor - Doyle Stulting, M.D., Ph.D.	329
Comments from Consumer Representative - Richard T. Bunner	331
Comments from Industry Representative - Barbara A. Niksch	332
Voting Options - James P. Swink	333
Panel Vote	337
ADJOURNMENT	361

M E E T I N G

(8:00 a.m.)

1
2
3 DR. WEISS: Okay. If everyone will take
4 their seats, I would like to call this meeting of the
5 Ophthalmic Devices Panel to order.

6 I'm Dr. Jayne Weiss. I'm a Professor of
7 Ophthalmology, Director of Refractive Surgery at
8 Kresge Eye Institute, Wayne State University School
9 of Medicine in Detroit, Michigan. I'm Chairperson of
10 this Panel today, and I'd like to say good morning.
11 Welcome to Gaithersburg to everyone.

12 At this meeting the Panel will be making
13 recommendations to the Food and Drug Administration
14 on the Premarket Approval Application, PMA P0500034,
15 for the VisionCare Technologies, Incorporated, IMT,
16 Implantable Miniature Telescope.

17 Before we begin, I'd like to ask our Panel
18 members and the other FDA staff seated at this table
19 to introduce themselves, and if you could please
20 state your name, your area of expertise, and your
21 affiliation and position. Dr. Eydelman.

22 DR. EYDELMAN: Malvina Eydelman, Director,
23 Division of Ophthalmic and ENT Devices.

24 DR. MUSCH: David Musch. I'm a Professor
25 at the University of Michigan. My expertise is in

1 epidemiology.

2 DR. EDRINGTON: Tim Edrington, Cornea and
3 Contact Lens Service, Southern California College of
4 Optometry.

5 DR. SUNNESS: Janet Sunness. I'm Medical
6 Director of The Hoover Services for Low Vision and
7 Blindness, and my expertise is in medical retina,
8 macular degeneration, and low vision.

9 MS. FALLS: Deborah Falls, FDA, Executive
10 Secretary in training.

11 MR. SWINK: James Swink, Acting Executive
12 Secretary.

13 DR. WEISS: And Jayne Weiss. I've already
14 introduced myself. I'm a cornea specialist and an
15 anterior segment surgeon, refractive surgeon.

16 DR. BANDEEN-ROCHE: Karen Bandeen-Roche,
17 Professor at Johns Hopkins. My expertise is in
18 biostatistics.

19 DR. MATOBA: Alice Matoba, Associate
20 Professor at Baylor College of Medicine, and my field
21 is cornea and external diseases.

22 DR. SZLYK: Janet Szlyk. I'm the Executive
23 Director of The Chicago Lighthouse for people who are
24 blind or visually impaired, Adjunct Professor of
25 Ophthalmology and Visual Sciences, University of

1 Illinois at Chicago. My field of expertise is low
2 vision rehabilitation.

3 DR. FERRIS: Rick Ferris, Director of the
4 Division of Epidemiology and Clinical Applications at
5 the National Eye Institute, and my ophthalmology
6 subspecialty is medical retina.

7 MR. BUNNER: I'm Richard Bunner. I'm the
8 consumer representative. I'm a past board member of
9 Prevent Blindness America, past Government Affairs
10 Chair, and retired public health administrator.

11 MS. NIKSCH: I'm Barbara Niksch. I'm Vice
12 President of Regulatory Quality and Clinical at
13 Visiogen. I'm serving as the industry
14 representative.

15 DR. WEISS: Thank you. If you haven't
16 already done so, please sign the attendance sheets
17 that are on the table by the doors outside, and if
18 you wish to address this Panel during one of the open
19 sessions, please provide your name to Ms. AnnMarie
20 Williams at the registration table.

21 If you are presenting in any of the open
22 public sessions today and have not previously
23 provided an electronic copy of your presentation to
24 FDA, please arrange to do so with Ms. Williams.

25 And I also will note for the record that

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 the Voting Members constitute a quorum as required by
2 21 C.F.R. Part 14. I'd also like to add that the
3 Panel participating in the meeting today has received
4 training in FDA device law and regulations.

5 Mr. Swink, the Acting Executive Secretary
6 for the Ophthalmic Devices Panel, will now make some
7 introductory remarks.

8 MR. SWINK: I will now read the Conflict of
9 Interest Statement.

10 The Food and Drug Administration is
11 convening today's meeting of the Ophthalmic Devices
12 Panel of the Medical Devices Advisory Committee under
13 the authority of the Federal Advisory Committee Act
14 of 1972. With the exception of the industry
15 representative, all members and consultants of the
16 Panel are special government employees or regular
17 federal employees from other agencies and are subject
18 to federal conflict of interest laws and regulations.

19 The following information on the status of
20 this Panel's compliance with federal ethics and
21 conflict of interest laws covered by, but not limited
22 to, those found at 18 U.S.C. Section 208 and Section
23 712 of the Federal Food, Drug and Cosmetic Act are
24 being provided to today's participants and to the
25 public.

1 FDA has determined that members and
2 consultants of this Panel are in compliance with
3 federal ethics and conflict of interest laws. Under
4 18 U.S.C. Section 208, Congress has authorized FDA to
5 grant waivers to special government employees who
6 have financial conflicts when it is determined that
7 the Agency's need for a particular individual's
8 services outweighs his or her potential financial
9 conflict of interest. Under Section 712 of the FD&C
10 Act, Congress has authorized FDA to grant waivers to
11 special government employees and regular government
12 employees with potential financial conflicts when
13 necessary to afford the Committee essential
14 expertise.

15 Related to the discussions of today's
16 meeting, members and consultants of this Panel who
17 are special government employees have been screened
18 for potential financial conflicts of interest of
19 their own as well as those imputed to them, including
20 those of their spouses or minor children and, for
21 purposes of the 18 U.S.C. Section 208, their
22 employers. These interests may include investments;
23 consulting; expert testimony, contracts/grants/
24 CRADAs; teaching/speaking/writing; patents and
25 royalties; and primary employment.

1 Today's agenda involves a discussion on a
2 Premarket Approval Application for the IMT,
3 Implantable Miniature Telescope, sponsored by
4 VisionCare Technologies, Incorporated. The IMT is a
5 visual prosthetic device which, when combined with
6 optics of the cornea, constitutes a telephoto lens.
7 This is a particular matters meeting involving a
8 specific party.

9 Based on the agenda for today's meeting and
10 all financial interests reported by the Panel members
11 and consultants, conflict of interest waivers have
12 been issued in accordance with 18 U.S.C. Section
13 208(b)(3) and Section 712 of the FD&C Act to
14 Drs. Karen Bandeen-Roche and David Musch.
15 Dr. Bandeen-Roche's waiver addresses an imputed
16 interest with a PMA sponsor from whom her institution
17 received less than \$100,000, but she was not
18 compensated. Dr. Musch's waiver addressed an imputed
19 interest with a PMA sponsor from whom his institution
20 received between \$100,000 and \$150,000, but he was
21 not compensated. These waivers allow the individuals
22 to participate fully in today's deliberations.

23 FDA's reason for issuing the waivers are
24 described in the waiver documents which are posted on
25 FDA's website at www.fda.gov. Copies of the waivers

1 may also be obtained by submitting a written request
2 to the Agency's Freedom of Information Office, Room
3 6-30 of the Parklawn Building. A copy of this
4 statement will be available for review at the
5 registration table during this meeting and will be
6 included as part of the official transcript.

7 Barbara Niksch is serving as the industry
8 representative, acting on behalf of all related
9 industry, and is employed by Visiogen, Incorporated.

10 We would like to remind members and
11 consultants that if the discussions involve any other
12 products or firms not already on the agenda for which
13 an FDA participant has a personal or imputed
14 financial interest, the participants need to exclude
15 themselves from such involvement and their exclusion
16 will be noted for the record.

17 FDA encourages all other participants to
18 advise the Panel of any financial relationships that
19 they may have with any firms at issue. Thank you.

20 I will now read the appointment to
21 temporary voting status. Pursuant to authority
22 granted under the Medical Devices Advisory Committee
23 Charter of the Center for Devices and Radiological
24 Health, dated October 27, 1990, and as amended on
25 August 18, 2006, I appoint the following individuals

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 as voting members of the Ophthalmic Devices Panel for
2 the duration of this meeting on March 27, 2009:

3 Dr. Karen Bandeen-Roche, Dr. Janet Sunness, Dr. Janet
4 Szlyk, Dr. David Musch, Dr. Eve Higginbotham,
5 Dr. Frederick Ferris.

6 In addition, I appoint Jayne S. Weiss,
7 M.D., to act as Temporary Chair for the duration of
8 this meeting.

9 For the record, these individuals are
10 special government employees who have undergone the
11 customary conflict of interest review and have
12 reviewed the material to be considered at this
13 meeting.

14 This was signed by Daniel G. Schultz, M.D.,
15 Director, Center for Devices and Radiological Health
16 and dated March 26, 2009.

17 Before I turn the meeting back over to
18 Dr. Weiss, I would like to make a few general
19 announcements.

20 Transcripts of today's meeting will be
21 available from Free State Court Reporting, Inc.

22 Information on purchasing videos of today's
23 meeting can be found on the table outside of the
24 meeting room.

25 Presenters to the Panel who have not

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 already done so should provide FDA with a hard copy
2 of their remarks, including overheads.

3 I would like to remind everyone that
4 members of the public and the press are not permitted
5 around the Panel area beyond the speaker's podium.

6 The press contact for today's meeting is
7 Peper Long, and I request that reporters wait to
8 speak to FDA officials until after the Panel meeting.

9 Finally, as a courtesy to those around you,
10 please silence all your electronic devices if you
11 have not already done so.

12 DR. WEISS: Thank you. I'd also like
13 Dr. Higginbotham to introduce herself as far as her
14 position, her expertise, and her affiliation.

15 DR. HIGGINBOTHAM: Thank you. I am Dr. Eve
16 Higginbotham. I'm Dean and Senior Vice President for
17 Academic Affairs at Morehouse School of Medicine.
18 I'm also a Professor of Ophthalmology and Visual
19 Sciences at Emory School of Medicine. I have been a
20 glaucoma specialist for more than 25 years, and I'm
21 happy to be here.

22 DR. WEISS: I notice you started hesitating
23 when putting in the number of years there, Eve.

24 DR. HIGGINBOTHAM: Yes.

25 DR. WEISS: We will begin with the updates

1 now from FDA. The first presenter is Dr. Malvina
2 Eydelman, Director of Division of Ophthalmic, Ear,
3 Nose and Throat Devices.

4 DR. EYDELMAN: Good morning. I just wanted
5 to welcome everybody, and I wanted to thank my staff
6 who has done an incredible amount of work in
7 preparation for today, and the Panel members who I
8 know had a lot of reading to do in preparation for
9 today. So we look forward to a very productive day.

10 With that, I want to turn it over to
11 Dr. Kesia Alexander, who is going to give you branch
12 updates.

13 DR. ALEXANDER: Thank you. Good morning.
14 My name is Kesia Alexander, and I'm the Branch Chief
15 of the Intraocular and Corneal Implants Branch, ICIB,
16 and I will be providing updates for ICIB and the
17 Vitreoretinal and Extraocular Devices Branch, VEDB.

18 Since our last meeting in June of 2008, we
19 approved four intraocular lenses in ICIB and one
20 extended wear contact lens in VEDB. The approvals
21 are as follows:

22 P060022, Bausch and Lomb's Akreos Posterior
23 Intraocular Lens, was approved on September 5, 2008.

24 P080004, Hoya Surgical's iSpheric
25 Intraocular Lens, was approved on September 26, 2008.

1 P080010, Abbott Medical Optics' TECNIS
2 Multifocal Foldable Posterior Intraocular Lens, was
3 approved on January 16, 2009.

4 P080021, Advanced Vision Science's XACT
5 Foldable Acrylic Intraocular Lens, was approved on
6 February 2, 2009.

7 P080011, CooperVision's Biofinity,
8 Comfilcon A, Soft Extended Wear Contact Lens, was
9 approved on November 19 of 2008.

10 At the last Ophthalmic Devices Panel
11 meeting on June 10th, we convened a Panel of outside
12 experts to consider ways to improve contact lens
13 safety. We sought Panel input on modifications to
14 the existing guidance document for multipurpose
15 contact lens care products.

16 In response to the advisories from the
17 Panel meeting, we have been working on several
18 improvements in our communications with the public
19 regarding contact lens related safety issues.
20 Consequently, we have updated our contact lens
21 website to include an information box on the home
22 page reflecting recommendations of the Panel such as
23 using rub and rinse for disinfecting the lens rather
24 than using no-rub technique, not topping off solution
25 in the lens case, and not exposing the lens to water.

1 In addition, we have added a contact lens
2 solutions and care products page to the website and a
3 link to MedWatch with the red phone reporting button
4 for reporting eye problems possibly associated with
5 the lens and lens care product use.

6 We also convened a contact care product
7 workshop titled, Microbiological Testing of Contact
8 Lens Care Products, on June 22 and 23 of 2008, in
9 collaboration with the American Academy of
10 Ophthalmology, American Academy of Optometry,
11 American Optometric Association, and the Contact Lens
12 Association of Ophthalmologists. The workshop was
13 held at our White Oak Facility.

14 The goals of the workshop was to gain
15 consensus on the critical test method parameters for
16 evaluating the activity of contact lens care products
17 against *Acanthamoeba* and to discuss the critical
18 elements for new or modified disinfection efficacy
19 test methods that simulate real world consumer use
20 conditions. While consensus was not obtained on all
21 topics, great strides were made in tackling an
22 elusive foe.

23 These are just a few ways FDA's working to
24 ensure that patients and physicians have current,
25 accurate information to help them with their

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 decisions about contact lenses and contact lens care
2 products.

3 As we move forward with our plan
4 implementing the suggestions and advisories for
5 improving test methodologies, for evaluating contact
6 lens care solutions, we also explore additional ways
7 that we can improve the quality of information
8 available to the public. Thank you.

9 DR. EYDELMAN: Now I would like Mr. Kwame
10 Ulmer to give an update.

11 MR. ULMER: Good morning. I'm Kwame Ulmer,
12 Chief of the Diagnostic and Surgical Devices Branch,
13 and this morning I'll be giving an update on
14 activities in our branch.

15 On April 5, 2008, FDA convened a Public
16 Advisory Panel of outside experts to listen to
17 patient experiences with LASIK and consider how to
18 improve information for patients and physicians about
19 LASIK.

20 In response to the feedback, from public
21 and LASIK experts, we've been working on several
22 improvements in our communications with the public
23 regarding LASIK-related safety issues. Our
24 accomplishments to date include FDA LASIK website.
25 We've made it easier for LASIK patients to report

1 problems within two clicks from the FDA LASIK
2 website. On the FDA LASIK home page, select report a
3 problem at the bottom left column, and then select
4 report a LASIK problem to MedWatch.

5 We've updated the other resources section
6 of the website to provide a wider range of
7 information about LASIK to patients and physicians.

8 We've clarified the contact us section on
9 how to submit a question about LASIK to FDA and how
10 to submit a comment or concern about LASIK to the
11 public record.

12 SightNet, we've updated related information
13 in SightNet, a program used by healthcare
14 professionals at participating facilities to share
15 concerns about potential safety issues with
16 ophthalmic medical devices and report problems to
17 FDA. The updated information includes an emphasis
18 that halos, glare, night vision problems, and dry eye
19 from LASIK should be reported to FDA.

20 Patient information card, we've worked with
21 the American Academy of Ophthalmology to develop a
22 card that physicians can fill out with the patient's
23 eye measurements before LASIK surgery. Patients can
24 keep this card to help the doctor calculate the lens
25 implant power should they need to have future

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 cataract surgery.

2 FDA recognition of ANSI laser systems for
3 corneal reshaping. In March of 2009, FDA officially
4 recognized the new LASIK standard from the American
5 National Standards Institute, ANSI, entitled Laser
6 Systems for Corneal Reshaping. FDA, as a member of
7 ANSI, works closely with this and other national and
8 international standards organizations. This group
9 meets to develop and come to a consensus on
10 appropriate national and international standards for
11 device testing and performance.

12 LASIK docket. We've opened a public docket
13 for LASIK so that any interested person can post
14 questions, comments, or concerns regarding LASIK.
15 This docket is web-based, and all comments submitted
16 are able to be viewed by the public. All comments on
17 the document are examined in excess by FDA staff on a
18 regular basis. The LASIK docket can be found at
19 www.regulations.gov and can be accessed with the
20 keyword, LASIK, or typing in the LASIK docket number
21 FDA-2008-N-0488.

22 These are just a few of the ways FDA is
23 working to ensure that patients and physicians have
24 current, accurate information to help them with their
25 decisions about LASIK and to facilitate their

1 reporting problems. As we continue to monitor the
2 safety and effectiveness of LASIK, we'll also explore
3 additional ways that we can improve the quality of
4 information available to the public about LASIK and
5 ways to ensure that FDA receives better information
6 about problems with LASIK. Thank you.

7 DR. EYDELMAN: That's completes the vision
8 updates.

9 DR. WEISS: Thank you very much.

10 DR. EYDELMAN: We will now have Dr. Danica
11 Marinac-Dabic give postapproval study program update.

12 DR. MARINAC-DABIC: Good morning, ladies
13 and gentleman. Dr. Weiss, Dr. Eydelman,
14 distinguished members of the Panel.

15 My name is Danica Marinac-Dabic. I'm the
16 Director of the Division of Epidemiology at the
17 Office of Surveillance and Biometrics. My group is
18 in charge of the design, review, and oversight of FDA
19 mandated postapproval studies, and also we are in
20 charge of the CDRH-funded epidemiologic research
21 program. Both of those programs are designed to
22 address specific postmarket questions that still
23 remain unanswered at the time of the device approval.
24 So it is my pleasure today to give you an update on
25 the recent changes that have occurred at CDRH on the

1 ways how we handle the postapproval studies.

2 As you can see from this slide,
3 postapproval studies are only one tool that CDRH
4 utilizes when addressing the important postmarket
5 questions. As you can see, we have a number of
6 passive and enhanced type of surveillance that are in
7 place. We also have the postmarket surveillance
8 program under Section 522 of the Act, and as I said,
9 we have also established the CDRH epidemiologic
10 research program which is growing, and we have
11 currently 23 ongoing epidemiologic research studies.

12 At the time of the device approval, the
13 reasonable assurance of safety and effectiveness of
14 medical device is established, and the specific PMA
15 receives the approval. So why do we need
16 postapproval studies?

17 Postapproval studies can help us gather
18 essential postmarket information about longer-term
19 performance of medical devices, including the effects
20 of retreatments and product changes that are very
21 frequent as we all know.

22 Also after the product is approved, then
23 the device is being used in a much wider population
24 of physicians and much wider population of patients
25 and what we call the real world type of setting. And

1 postapproval studies can be very useful to give us
2 information about how a medical device performs in
3 those types of situation.

4 Postapproval studies can be also very
5 useful to study the effectiveness of training
6 programs. As you know, many devices require rigorous
7 training. There's sometimes very steep learning
8 curves. Postapproval studies can be a useful tool to
9 design the study around these important endpoints
10 that we are interested in, in postmarket setting.

11 We can also study the performance of
12 subgroups that were not properly represented in the
13 premarket setting. So those subgroups were not
14 represented in the premarket clinical trials,
15 postapproval studies might be an avenue to address
16 that performance.

17 If we have any outcomes of concerns that
18 still remain, postmarket, whether those are safety or
19 effectiveness concerns, we can design a postapproval
20 study to answer those questions.

21 This is certainly a way to balance
22 premarket burdens as we would like to help our
23 colleagues from industry to bring the devices quickly
24 to the market but also to help address some important
25 questions in the postmarket setting.

1 And, finally, we also recommend Panel
2 recommendations. We very much value your
3 recommendations based on your clinical expertise to
4 help us design better postapproval studies in the
5 postmarket setting.

6 This is the legal authority again that we
7 have, to continue asking for the safety,
8 effectiveness, and reliability data in the
9 postapproval setting, and again those can be safety
10 or effectiveness; if the remaining questions are not
11 essential for the establishment of the assurance of
12 safety and effectiveness at the time of the approval,
13 but important postmarket questions, we can ask for
14 postapproval studies.

15 It is important to say that postapproval
16 studies should not be used to evaluate unresolved
17 issues from the postmarket phase that are essential
18 for the initial determination of the device safety
19 and effectiveness.

20 During the last four years, the CDRH had
21 committed significant amount of resources to
22 transform the postapproval studies program, and these
23 are our main goals. We wanted to enhance the
24 scientific rigor of postapproval studies. We would
25 like studies to have clear objectives. We would like

1 the objectives to have hypotheses, to have comparison
2 groups, studies, that once upon completion, can be
3 properly interpreted and help us come up with the
4 findings that then can be incorporated into labeling
5 and provide labeling changes, if necessary, based on
6 the findings.

7 We also, by this transformation, wanted to
8 establish and maintain accountability for the
9 postapproval studies commitments, both on the
10 industry side and the FDA side. So we have made a
11 commitment that we will review all the submissions
12 within 60 days and provide a response to industry.
13 We also are expecting our colleagues from industry to
14 provide timely responses to us, and we have
15 established the tracking system to properly track
16 those responses.

17 Again, one of the other major goals of the
18 transformation was to enhance the cooperation between
19 our premarket and postmarket functions. So whatever
20 knowledge is gained in the postmarket setting, we
21 would like to share with our premarket colleagues.

22 And finally, we are very much committed to
23 increase the transparency with the public, and this
24 presentation is one of the examples of our strategies
25 to inform the public about these changes in a timely

1 fashion.

2 Again, this transformation was very
3 comprehensive, arranged from the changes in oversight
4 and tracking, major changes in how the PMA review is
5 being conducted, and also we put together the
6 guidance document and also have our web postings of
7 the status of current ongoing postapproval studies.
8 We instituted these routine updates to the postmarket
9 advisory panels, and we are also establishing
10 stronger, informal relationships with other public
11 health partners.

12 As I said, the oversight had been
13 transferred in 2005 from Office of Devices and
14 Evaluation to Office of Surveillance and Biometrics,
15 and these are some of the major changes that have
16 happened since that time.

17 I would say from the epidemiologic
18 prospective and from the postmarket section, this was
19 crucial for us to be included in every PMA review
20 team. In 2005, we started being part of every
21 postmarket application review team. The
22 epidemiologist's role would be to review the
23 postmarket application submission with an eye toward
24 what might be those postmarket questions that still
25 need answering in the postmarket setting. You know,

1 the decision about need for a postapproval study
2 certainly will be made by the team itself, and not
3 only by the epidemiologists on the team, but once the
4 decision is made, we're working directly with
5 industry to help them design this study, and our goal
6 is at the time of the device approval, we will have
7 the protocol ready to go.

8 And I always like to cite one example that
9 we have from the cardiovascular community that we
10 were able to enroll the first patient into
11 postapproval study 48 hours after the device approval
12 was issued. That means that we worked with industry
13 to develop the protocol. We also have obtained the
14 IRB approval, and the study was ready to go at the
15 time of the approval.

16 Once the decision is made to approve the
17 product, then the Office of Surveillance and
18 Biometrics takes the lead, and we are the ones who
19 review the reports. We make sure that those reports
20 come on time, and we look into the results,
21 communicate with industry, and help them if there are
22 any obstacles to implement those postapproval
23 studies.

24 This is the link to our guidance document.
25 We certainly acknowledge that our goal is, in

1 addition to having the scientifically rigorous
2 studies, those studies should be least burdensome,
3 and we find out that really we would like to propose
4 that the way to least burdensome is to discuss
5 postmarket balance as early as possible which we are
6 doing during the premarket phase.

7 As I said, in terms of the accountability,
8 we instituted postapproval studies tracking system,
9 and we now track all postapproval studies. Just to
10 give you a sense how many of those studies we
11 currently have, we have 166 ongoing postapproval
12 studies for all devices; 89 of those had been
13 instituted after 2005, after the transformation had
14 occurred and we took over the program, and those are
15 posted on our webpage.

16 These are the study definitions. I'm not
17 going to go into those details, but those are the
18 definitions for the status that we use and translate
19 them into status that goes on the web.

20 This is the link to the webpage. It went
21 live 2007. Again, it is being updated monthly, and
22 this is how it looks. You can go and search, and
23 it's certainly a link to the PMA database. You can
24 see what information is in there. We do not share
25 the information that's specific to the company but,

1 you know, you will see the status both for the
2 progress of the study and the status of the report in
3 there.

4 It is very important that we communicate to
5 our Panel members, and we would like to share with
6 you the strategy that we started, and we would like
7 to continue with that. At every Panel, we will give
8 you an update on the major developments in the
9 postapproval studies program, and in those instances
10 where there is specific issue that we would like to
11 discuss with the Panel, we will call for a specific
12 postmarket update to the Panel.

13 At that time, we would invite our industry
14 also to give a presentation, and we would jointly
15 present to the Panel and perhaps come with some
16 questions for which you may give us our input, but
17 this is just general today. It's just a general
18 presentation.

19 The success of our postapproval studies
20 program is very much dependent on the engagement of
21 our public health partners, and to that effect, we
22 establish this robust CDRH epidemiologic research
23 program. We have developed numerous contracts,
24 grants, IAGs with different organizations, including
25 our sister organizations within DHHS, including NIH

1 and ARC, also contracts with professional
2 organizations and academia, and again with a goal to
3 answer important postmarket questions for the
4 performance of medical devices.

5 In addition, I would like to let you know
6 that on June 4th and 5th of this year, we are
7 sponsoring, along with Food and Drug Law Institute,
8 an implementation workshop for postapproval studies,
9 again to discuss what are the obstacles that sponsors
10 may have in conducting the postapproval studies and
11 help them move those studies forward.

12 Another workshop on postapproval studies is
13 being scheduled on September 14th and 15th, again in
14 cooperation with Food and Drug Law Institute and
15 Agency for Healthcare Research and Quality. At that
16 time, we will target the methodology and how we can
17 design better postapproval studies and using
18 innovative methodologic approaches.

19 And just very quickly, to give you snapshot
20 of the -- original PMAs and Panel track supplements
21 approved from 2005 to 2009, and the number of the
22 postapproval studies initiated after that. These are
23 four observational studies, just very briefly. I'm
24 not going to discuss them in detail.

25 As you can see as far as the reporting

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 status, we have one study that we received the report
2 on time. Two reports are still pending. One report
3 is overdue. Again, we are in constant communication
4 with our colleagues from industry and helping them
5 address discretions and helping them meet their study
6 timelines.

7 This is the study progress of those
8 studies. Again this is just a snapshot of the post-
9 2005 initiated postapproval studies in ophthalmic
10 devices.

11 And finally, I would like to conclude that
12 our vision is to have these studies to answer only
13 important postmarket questions. We would like
14 studies to be founded on good science, to be
15 realistic, that can be conducted in a timely manner,
16 give us accurate findings, and certainly we would
17 like to keep all our stakeholders apprised throughout
18 the process. Collaboration, I cannot stress enough
19 how important it is for us to continue with
20 collaboration within the CDRH, with Dr. Eydelman's
21 group, on both research projects and postapproval
22 studies program. And if we practically address many
23 of these issues, we foresee that we will have less
24 enforcement options that need to be done in the
25 future.

1 We understand these are higher
2 expectations, and with higher expectations usually
3 come concerns. We would like to put those concerns
4 into proper context of asking the right postmarket
5 questions at the right time. So again, it's more
6 work for us. It is more work for our regulated
7 industry, but we believe that by completing these
8 studies in a timely fashion, we help not only our own
9 agency to make proper decisions but we will inform
10 the public in a timely fashion on how those medical
11 devices perform when they are approved and being used
12 in the real world setting. Thank you very much.

13 DR. WEISS: Thank you. We now have
14 concluded the FDA updates, and we'll proceed to the
15 first open public hearing portion of the meeting.

16 Both the Food and Drug Administration and
17 the public believe in a transparent process for
18 information gathering and decision making. To ensure
19 such transparency at the open public hearing session
20 of the Advisory Committee meeting, the FDA believes
21 that it is important to understand the context of any
22 individual's presentation. For this reason, FDA
23 encourages you, the open public hearing participant
24 or the industry speaker, at the beginning of your
25 written or oral statement, to advise the Committee of

1 any financial relationship that you may have with the
2 sponsor, its product, and if known, its direct
3 competitors.

4 For example, the financial information may
5 include the sponsor's direct payment of your travel,
6 lodging, or other expenses in connection with your
7 attendance at the meeting. Likewise, FDA encourages
8 you at the beginning of your statement to advise the
9 Committee if you do not have any such relationships.
10 If you choose not to address this issue of financial
11 relationships at the beginning of your statement, it
12 will not preclude you from speaking.

13 We have presently four individuals who have
14 asked to speak this morning. Mrs. Marian Orr is the
15 first speaker, and if you, Mrs. Orr, could come up to
16 the podium. Each of the public speakers will have
17 five minutes to speak to the Panel. There will be a
18 yellow light that will light when you have one minute
19 left, and the red light will go off at five minutes.
20 So thank you. Mrs. Orr.

21 MS. ORR: I knew I had a choice. Once I
22 was diagnosed with macular degeneration, I knew I had
23 a choice of how I was going to live my life. My
24 father and his brother were both blind, and I saw the
25 life they lived. They were blind for most of their

1 later years, and I witnessed all the things that they
2 missed.

3 Once I was approached about the telescope,
4 I knew that it was my only choice if I did not want
5 to miss most of my later years. After the telescope
6 was placed, I continued to work a full-time job,
7 enjoy leisure activities and see my children and
8 grandchildren through milestones in their lives.

9 When I was diagnosed with macular
10 degeneration, I was working as a legal secretary and
11 an accountant for a law firm. I was sent to the Low
12 Vision Clinic where I was then approached about the
13 telescope. After the telescope was placed, the Low
14 Vision Clinic suggested tools for vision, such as a
15 large screen computer monitor, software for the
16 computer that enlarged everything, and a CCTV which
17 enlarged font on papers that were placed under it.
18 This device has allowed me to continue working for
19 two more years before I retired completely.

20 I continue to leisurely read, which is one
21 of my favorite past times. I purchased glasses with
22 a large magnifying glass in my good eye which enables
23 me to read large print as well as regular print.

24 I am now connected with the North Carolina
25 Association of the Blind, and they mail me books in

1 large print, and I mail them back with no postage
2 required. I am able to read the newspaper, large
3 print books as well as the regular print books,
4 including the hymnals at my church where I continue
5 to be very active.

6 I plan activities monthly for our senior
7 citizens group to attend, and I'm able to plan these
8 activities on the phone with the help of the
9 telephone company. I receive 411 service free for
10 local telephone numbers.

11 I volunteer once a week as a lunch buddy at
12 a nearby elementary school. I visit with a less
13 fortunate child who is in the second grade. I've
14 been volunteering for five years, and they're a joy
15 to work with. I enjoy being a part of their school
16 year, watching them grow and develop as students.

17 To this day, I continue to live alone. The
18 only thing I can't do is drive, but seeing the price
19 of gas, that's not a real hardship. I have very
20 loyal friends and family who are able to help me get
21 to appointments, church, and shopping. I have lunch
22 with friends at least twice a week. I am still able
23 to watch movies and television without any problems.

24 As you can tell, I've had a very active
25 life prior to my diagnosis as well as after my

1 diagnosis. It has been a blessing to me to be able
2 to have an active life with the vision that enables
3 me to do the things that I like to do. I could not
4 have had this active life without the telescope to
5 bring my eyesight to where it is now.

6 Before the transplant, I could not see your
7 face, just around your face, but now I am able to see
8 your face directly. I did lose the peripheral vision
9 in the implanted eye, but I can always turn my head
10 to correct that.

11 When I was approached about speaking to the
12 FDA Panel, I knew it was something that I had to do.
13 I have lived a life fulfilled, and I know many
14 friends who have been diagnosed with macular
15 degeneration that would benefit from the telescope.

16 VisionCare provided me the funds to make
17 this trip so I could speak on behalf of the
18 telescope.

19 DR. WEISS: Thank you very much. Keep up
20 the good work. Mr. Billy Fulmer or Fulmer will be
21 our next speaker.

22 MR. FULMER: Good morning. My name is Bill
23 Fulmer, and I'm from a little town, Leeds, Alabama,
24 and 12 years ago, I found out I had macular
25 degeneration, and I went to the doctor and he didn't

1 make me feel too good. And I got to where as time
2 passed on, I couldn't read the eye chart. I would go
3 into the supermarket, couldn't find my wife, go
4 outside at the curb. I would fall, and all at once,
5 I saw ABC World News Tonight with Peter Jennings
6 where this company had a telescopic lens for macular
7 degeneration.

8 I immediately called my son, and he got me
9 lined up at Emory University, and Atlanta called me
10 for an appointment. They explained it to me. I went
11 home with two lens, with a little plastic thing, to
12 decide what lens I wanted. She said take about two
13 weeks. Well, two days I found out which one I
14 wanted, and I went back to Atlanta, told her which
15 one. Then I went through some tests for two or three
16 more trips, and the doctor told me that he was ready
17 to implant it in my eye.

18 We went up to Atlanta at 7:00 that morning.
19 He started, put it in my eye. He gave my wife a
20 prescription for pain and said my eye would be real
21 black. We went to the motel. He wanted to see me
22 the next morning. We went to the motel and I didn't
23 take a pain pill. I felt all right. We got up the
24 next morning and went to the hospital. He took the
25 patch off and immediately I saw the eye chart, and I

1 said, oh, yeah. And so he said, go home, come back
2 in about, I don't know, a month or so.

3 So I went home, and going home and when we
4 hit the freeway, I told my wife, on the left-hand
5 side traffic coming, I said I can see that big
6 trailer truck. There's a red car coming by. So she
7 said, yeah, and as we got down the highway, I said I
8 see a bridge way down there, and I said I think it's
9 an exit. When we got close to it, we passed a little
10 sign and I said that's a sign, 70 miles an hour. She
11 said, yeah.

12 When we got to the sign, I didn't know what
13 town it was because I was looking at the small print
14 and I saw exit. So we got home and ever since we got
15 home, I go to the supermarket, I can find my wife. I
16 haven't fell, and about three years ago, a friend of
17 mine that's with me said I'm going to Italy for a
18 month, you want to go? I said, no, I said I don't
19 want to go for a month. I said I want to go for two
20 weeks. He said, no, you've got to go for a month.
21 I've got to go for a month. I said I'll come back.
22 My wife said, oh, no. I said, oh, yeah, I can come
23 back.

24 I went to Italy. I came back. I might of
25 not come back as good as you'd come back, but I asked

1 a few questions but I came back.

2 I got back. When I cut my grass now, I
3 don't miss any spots. I found my wife, and the main
4 thing is I'm 83 years old, and I'm very active, and
5 my son ordered me some plastic molds to make some
6 cement items for the yard. I made those cement items
7 and gave them to people all over, and then my
8 grandson gave me a big turtle mold this year for
9 Christmas, and I live on a circle, and about 10
10 houses on that circle now has a cement turtle
11 somewhere. I have three at my house waiting to be
12 given to somebody else.

13 DR. WEISS: Mr. Fulmer, we're going to need
14 to wrap up in a few seconds. I wish you had brought
15 one of those so we could see it outside, however. Do
16 you have a concluding statement to the Panel?

17 MR. FULMER: I'm going to end right now,
18 and I'm going to say this much. This is the best
19 thing that ever happened to me, and like I say, I'm
20 83 years old. I walked up here without a walking
21 cane or a walker, and the reason for that is, is
22 this, because if you sit at home in a recliner as old
23 as I am, you're going to get stiff and you're going
24 to get a walker or a walking cane. So I'm very
25 happy, and I know there's a lot of people out there

1 that needs what I got, and I hope that I have
2 enlightened you to pass it. Thank you very much.

3 DR. WEISS: Thank you very much. Thank you
4 very much, Mr. Fulmer.

5 Our next speaker will be Mrs. Joann Preece.

6 MS. PREECE: I just want to reiterate
7 probably all these things that those other two people
8 have said. I'm 82. I live alone. I live in a barn.
9 It's not as rustic as it sounds. I have a lovely
10 apartment on the third floor of a barn, and I do my
11 own cooking. I do my own laundry. I have a bank of
12 windows in the front of apartment that I can see
13 clear down the driveway, see who's showing up. I'm
14 still very active.

15 But the implant has made such a difference
16 in my life. I know that if I didn't have that, I
17 would probably be sitting at home in a chair
18 someplace or not living alone actually is what it
19 would be.

20 So everybody has made such good points that
21 I, you know, I want to say, yes, it's all true. And
22 I've only stepped on my cat once in six years.

23 I want to acknowledge VisionCare who made
24 it easy for me to get here. I thank you very much.
25 Any questions?

1 (No response.)

2 DR. WEISS: Thank you very much. And the
3 last speaker we have for the public session is
4 Dr. Glenn Stoller.

5 DR. STOLLER: Dear Panel Members, good
6 morning. My name is Glenn Stoller. I'm a practicing
7 retina specialist in New York. It is a privilege to
8 speak before this distinguished group, and I
9 appreciate your willingness to hear comments from the
10 public.

11 During my almost 12 years of clinical
12 practice, I've had the opportunity to care for a very
13 large number of patients with age-related macular
14 degeneration. I know the spectrum of this disease
15 very well and the range of impact it has on my
16 patients. I stand here today as an advocate for my
17 patients with bilateral late stage, advanced AMD who
18 either never were or are no longer candidates for all
19 currently available drug treatment options.

20 I'm proud to say I was an investigator for
21 all of these various modalities of therapy during
22 their clinical trials. So my desire to help patients
23 with AMD is longstanding and heartfelt. My search
24 for the advancements and care and management of AMD
25 has led me to closely follow the development and

1 testing of the IMT, which I first learned about
2 during a scientific presentation on the early results
3 coming out of the FDA clinical trial for the device.

4 Since then I've kept up with the results
5 through peer review literature, more specifically the
6 one year results published in 2006 in the Journal of
7 Ophthalmology, the 2007 article in the Archives of
8 Ophthalmology, and the 2008 American Journal of
9 Ophthalmology describing the two year visual acuity
10 and safety results.

11 The patient population we are discussing
12 today were well sighted for the majority of their
13 life and then lived through the devastation of slowly
14 or sometimes rapidly losing the central vision in
15 both eyes. Given the prevalence of AMD, it
16 unfortunately may be the destiny of some of the
17 people in this very room.

18 At the present time, what this treatment of
19 these patients consist of, the truth is, it is quite
20 limited, but as an ophthalmologist, I realize it is
21 not only my duty to care for their eyes but to also
22 try and help them cope with their disease. I try to
23 offer them rays of hope by talking about a growing
24 understanding of the cause of their disease and
25 letting them know about the potential methods that

1 may one day help some of them improve the quality of
2 their life, medical technology such as the use of
3 stem cells, retinal transplants, and prosthetic
4 retinal chips and, of course, the Implantable
5 Miniature Telescope. They then naturally ask me when
6 these treatments may become available. With regard
7 to stem cells, transplants, or prosthetic retinas, I
8 sadly have to admit to them that these are still many
9 years away. Almost all of them give me the same
10 response, and I quote, "I will be dead by then." I
11 have no comforting reply because I know in my heart
12 for most, if not all of them, this is true. However,
13 with regard to the Implantable Miniature Telescope, I
14 hope this will not be the case.

15 Of course, I refer them for a low vision
16 evaluation, a potentially valuable service, but my
17 experience is that if a patient can be fitted with a
18 low vision appliance after some period of time, it
19 ends up sitting in a drawer. They find the unnatural
20 act of having to move their heads back and forth to
21 read intolerable secondary to the nausea it creates,
22 a phenomenon which is a result of conflict between
23 the vestibular and ocular systems. Moreover, the
24 very limited field of view provided by these devices
25 proves to be just too frustrating and difficult to

1 work with for most.

2 For patients who are phakic in at least one
3 eye, they inevitably ask me whether cataract surgery
4 will help them. In my experience, cataract surgery
5 for almost all of these patients provides no
6 meaningful benefit because quite simply opacification
7 of their native lens is not what is causing the
8 visual loss. Rather, it is the atrophic fibrous
9 retinal scar.

10 I'd like to share a recent story about one
11 such patient. One eye had already undergone cataract
12 removal years earlier when it was still a good seeing
13 eye. The other eye had a modest cataract. Over two
14 years ago I told her about the IMT and that I thought
15 it had the potential to help her achieve better
16 vision. I would see her periodically in follow ups,
17 about every six to eight months, and she and her
18 family would always ask about the IMT. I would tell
19 them it was not yet available. After years of
20 waiting with no time arising to find out if the
21 device would be made available, she decided to
22 proceed with cataract surgery out of a sense of
23 desperation.

24 So what became of her? She states that
25 since her cataract surgery, things may be a little

1 bit brighter, but her vision is no better, and she's
2 not the slightest bit more functional than she was
3 before the cataract was removed. Given her lack of
4 options, I understand her decision, but it saddens me
5 she will no longer be a potential candidate for the
6 IMT should it be approved.

7 I have many more patients just like her who
8 still have a cataract and are holding off on cataract
9 surgery in the hope the IMT will become available.

10 As an ophthalmologist, it strikes me as odd
11 and somewhat paradoxical that we live in a society
12 where patients with perfectly healthy eyes apart from
13 the need for glasses are given the opportunity to
14 have surgery for their eyes, specifically LASIK,
15 which can result in serious permanent damage to the
16 cornea just for the convenience of diminishing their
17 need for glasses, if those patients who have been
18 blinded in both eyes by age-related macular
19 degeneration have thus far not been given access to a
20 technology that has the potential to improve a much
21 more fundamental need, their ability to function and
22 cope with daily living.

23 Recently the FDA approved the drug Avastin
24 for use in breast cancer, not because it extended
25 life, rather because it extended disease free

1 survival, thus acknowledging that an improvement in
2 the quality of one's life, even if it is fleeting, is
3 worthy.

4 Today you have the power to improve the
5 quality of life in those patients most severely
6 affected by AMD, patients for which current methods
7 of treatment are inadequate and which no other
8 alternative methods for improving their visual acuity
9 will become available for the foreseeable future. As
10 a retinal surgeon, I am acutely aware that no surgery
11 comes without --

12 DR. WEISS: Would I be able to ask you to
13 start wrapping up. We're past the limit already.

14 DR. STOLLER: I am. I understand that no
15 surgery comes without risk, and those risks have to
16 be weighed against the potential benefits. I believe
17 that the data supports that for this patient
18 population, the potential impact and benefit of the
19 IMT for improving visual acuity and quality of life
20 outweighs the risks for many potential patients.

21 It is with the utmost respect that I ask
22 the Panel today to make the IMT available, thus
23 enabling us as physicians to have a full informed
24 consent dialogue with our patients and ultimately
25 allowing patients to choose what risks they are

1 willing to take for the potential benefits this
2 device offers.

3 I have not received funds from VisionCare
4 for my travel, and I have no financial relationship
5 with the company whatsoever. Thank you for your time
6 and consideration of my comments.

7 DR. WEISS: Thank you. Does anyone else
8 from the public wish to address the Panel at this
9 point? If so, please come forward to the podium and
10 state your name, affiliation, and your financial
11 interest, if any, in the device being discussed
12 today.

13 (No response.)

14 DR. WEISS: Seeing no other members come
15 forward, I will let you know that there will be a
16 second open public session of the afternoon. I'd
17 like to thank those who participated, especially the
18 octogenarians who give me hope for the future if I
19 ever make it that far.

20 And we're going to now start with the
21 sponsor presentation for the IMT, Implantable
22 Miniature Telescope. I'd like to remind the public
23 observers at this meeting that while the meeting is
24 open for public observation, public attendees may not
25 participate except at the specific request of the

1 Panel.

2 We will begin with the sponsor
3 presentation, and this will be for up to 90 minutes.

4 MR. HILL: Thank you. Distinguished
5 members of the Panel, members of the FDA, good
6 morning.

7 My name is Allen Hill. I'm Chief Executive
8 Officer of VisionCare Ophthalmic Technologies.

9 VisionCare is the sponsor of this PMA for
10 the Implantable Miniature Telescope or IMT. This
11 device was invented by two individuals, Yossi Gross
12 and Isaac Lipshitz. This PMA covers the use of the
13 implantable telescope for improving vision in
14 patients with moderate to profound vision impairment
15 due to bilateral, end stage age-related macular
16 degeneration.

17 In our presentation this morning, Dr. Eli
18 Peli, distinguished low vision specialist and vision
19 scientist, will discuss the disease, the visual
20 problems that it creates, and will also describe the
21 IMT device itself and how it works.

22 Dr. Stephen Lane, a cornea specialist, a
23 study investigator, will describe the surgical
24 procedure for implanting the device.

25 Dr. Judy Gordon, a regulatory advisor and

1 consultant to the company, will present the study
2 design for the two IMT trials, the IMT-002, the
3 pivotal study, a two-year study, and IMT-002-LTM, or
4 long-term monitoring, which provides an additional
5 two-year follow-up on the patients implanted in the
6 pivotal study.

7 Dr. Doyle Stulting, a cornea specialist and
8 investigator, will present the data from the trial
9 covering both efficacy and safety data.

10 Dr. Oliver Schein, our third cornea
11 specialist and investigator, will then discuss
12 suggested risk reduction alternatives, especially
13 surgical risks related to the IMT implantation, and
14 conclude with a risk benefit analysis.

15 All of our presenters will be available to
16 answer questions. In addition, we have with us
17 today, Dr. Hank Edelhauser from Emory University, an
18 expert in the field of morphology morphometry of the
19 endothelial cells.

20 We have Dr. Janet Wittes, a statistician
21 known to many of you here in the FDA for her work on
22 clinical trial design and services on FDA Advisory
23 Committees and the National Eye Institute Data Safety
24 Monitoring Boards.

25 And finally, Dr. Yi-Jing Duh, our

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 statistician from clinical trials.

2 All individuals I've just mentioned are
3 paid for their services and expenses, including the
4 services and expenses related to this meeting.

5 At this time, I'd like to introduce
6 Dr. Peli. Eli.

7 DR. PELI: Thank you. Good morning. I'm
8 Eli Peli. I'm a vision scientist at the Schepens Eye
9 Research Institute and a Professor of Ophthalmology
10 at Harvard Medical School.

11 My major research interest in the past 25
12 years has been visual impairment and its
13 rehabilitation. I also practice visual
14 rehabilitation and see patients at Tufts Medical
15 Center or Hospital where I serve as an Adjunct
16 Professor of Ophthalmology at Tufts School of
17 Medicine.

18 This morning, I'm going to discuss the
19 manner in which end-stage macular degeneration
20 compromises visual acuity and explain how the
21 Implantable Miniature Telescope significantly
22 improves patient's vision despite the damage done by
23 the MD.

24 End-stage AMD results in central vision
25 loss associated with geographic atrophy or disciform

1 scar involving the fovea. The geographic atrophy in
2 dry AMD and the disciform scar in wet AMD are
3 evidence of the central retinal damage. Once the dry
4 or wet scars are present, vision in those areas
5 cannot be restored. So the patient is past the point
6 where -- or other therapies can restore their vision.

7 The result is a central vision loss. When
8 the lesion include the fovea, there will be a hole in
9 the images the patient can see. The hole, as it is,
10 is not perceived as such and is not perceived as
11 illustrated on this diagram. It's simply not being
12 seen but it can readily be documented with perimetry.

13 Outside of this hole, the rest of the
14 visual imagery is blurry since it falls in the near
15 periphery where the natural resolution and contra
16 sensitivity of the visual system is reduced.

17 As was mentioned before, removal of mild
18 cataract and implantation of a IOL will allow for
19 better contrast in the retinal image, but the effect
20 is relatively small and cannot compensate for the
21 loss of central vision in the way that magnification
22 does.

23 The Implantable Miniature Telescope is an
24 optical prosthesis. It is implanted in one eye to
25 provide central vision function -- and detailed

1 vision. The fellow eye maintains peripheral vision
2 for navigation.

3 The IMT consists of two microlenses that
4 together magnify the retinal image of the object.
5 Magnification have two effects. One, it allows the
6 undamaged area outside the macular lesion to see the
7 image by increasing the size of the details to match
8 the capability of the visual system -- Two, it
9 minimizes the relative size and thus the impact of
10 scotoma or the scar.

11 There are two IMT models, 2.2 and 3X. The
12 3X actually, in part 2.7X magnification. The depth
13 of field is extending from 1.5 meter beyond which is
14 ideal for intermediate distances activity. Addition
15 of -- spectacle allows the patient's implanted eye to
16 be used for near tasks such as spot reading,
17 identifying items in the grocery stores, not just the
18 wife, and playing cards or doing crafts. The 3X and
19 2.2X IMTs provide 20 degrees and 24 degrees field of
20 view respectively. These fields of view are
21 appreciably greater than those provided by
22 conventional external handheld or spectacle mounted
23 low vision telescope, the best of which provide about
24 14 degrees field of view.

25 This is a depiction of a realistic size

1 macular scar, about 1.5 disc diameter, in letters of
2 a size about 1 degree, that will be barely readable
3 for many of these patients. For example, an eye
4 chart. The patient may be able to see that there are
5 letters, but in order to know what letters they are,
6 the patient has to get them first outside of the
7 scarred area, where in this slide, it shows on the
8 scar area, onto the functional retina.

9 The patient with AMD indeed uses a place on
10 the retina called the preferred retina locus or PRL,
11 as seen on the right side, but without magnification,
12 the resolution of the retina at the PRL will be
13 inadequate to see what the letters are. On the left
14 side of this slide, you see the last slide we just
15 seen without magnification. On the right side, we
16 illustrate what happens with the IMT in place. The
17 letters are now enlarged about three times, and at
18 that size, the patients have an image in the
19 functional area of the retina, large enough to allow
20 him or her to be able to recognize what the letters
21 are.

22 Again, with the magnified image, the
23 relative size of this scotoma is smaller, making it
24 easier to keep the object of interest, at least most
25 of it, outside of the scotoma.

1 I want to take a minute to add my
2 rehabilitation hat to my vision science hat. Like
3 many of you, I have trained many patients how to use
4 external telescope. Now I have also had the
5 opportunity to consider how the two devices will
6 compare.

7 The IMT has a number of advantages over the
8 external telescope. One of them is the wide affected
9 field of fixation of the IMT. The IMT allows
10 scanning using natural eye movement rather than head
11 movement. With an external telescope, eye scanning
12 is limited to the small field of view that even with
13 the largest telescope is very small. To scan beyond
14 this, head movements are needed. These are much
15 slower and, as discussed next, cause a significant
16 problem when interacting with a vestibular mechanism.

17 With the IMT, the scanning, the natural eye
18 movement, is not limited by the field of view of the
19 telescope and does not cause a problem with the
20 vestibular system. So there's no vestibular
21 conflict. I'll take a minute to address this topic.

22 When working or sitting in a vehicle, the
23 head is constantly moving and bobbing. To maintain
24 stable fixation and thus stable retina image, the
25 vestibular mechanism in the inner ear compensates for

1 these movement by counter rolling the eye at the same
2 angle that the head rotated. This can be seen on the
3 left side, looking at the eye without the telescope.
4 If the head turn by angle -- and the eye will turn
5 back, then the image will return to the fovea.

6 With an external telescope, however, the
7 movement of the head by the same angle results in a
8 magnified, amplified image movement in the retina
9 three times. And then when the eye rotates back, it
10 only rotates for the one -- and as a result, the
11 image sweeps back only slightly across the retina,
12 and there's no vestibular compensation or almost
13 none. In this case, two-thirds only is not
14 compensated or a third is only compensated.

15 This results in reduced acuity and
16 unpleasant image motion that may cause motion
17 sickness.

18 With the IMT, which is shown on the right
19 side, this problem disappears as the normal
20 vestibular reflex brings the image back to the fovea
21 and avoid -- motion and vestibular effects.

22 Importantly, the IMT is available on
23 demand; that is, it is always there ready to use.
24 There's no need to locate it, to bring it to the eye,
25 and no need to tie up the hands.

1 Because it is not visible to anyone with
2 whom the patient interacts, the IMT does not
3 stereotype the patient as visually disabled. And the
4 IMT is compatible with easy social interaction. It
5 allows eye contact, which is crucial, and for many
6 patients, face recognition and recognition of facial
7 expression, which is also crucial in social
8 interactions.

9 In summary, the IMT is designed to provide
10 improvement in visual acuity to patients whose end-
11 stage AMD has reduced visual acuity to the point
12 where the patient suffers severe -- visual loss. The
13 IMT in effect works around the area of macular lesion
14 to allow visualization of images by sections of the
15 retina that have not been destroyed by the disease.

16 As you will hear from others, the clinical
17 trial, it improved visual acuity by an average of 3.4
18 lines. That improvement allows patients to see
19 better which in turn afford them more freedom to
20 participate independently in many tasks that the rest
21 of us take for granted. Thank you very much.

22 DR. LANE: Good morning, and thank you,
23 Dr. Peli. Distinguished Panel, I'm Stephen Lane, and
24 I was an investigator for the IMT study 002 and the
25 IMT 002-LTM study.

Free State Reporting, Inc.
1378 Cape Saint Claire Road
Annapolis, MD 21409
(410) 974-0947

1 I've implanted 19 of these devices, and I'm
2 going to provide a surgical overview to you this
3 morning.

4 As Dr. Peli mentioned, there are two models
5 of the IMT. Those models have the same dimensions
6 and are similar in weight, approximately 60
7 milligrams in the -- which is about the quarter of
8 the weight of the crystal and lens. The anterior and
9 posterior length of the device is 4.4 millimeters,
10 similar to the thickness of the crystal and lens, but
11 as you can see, appreciably larger than the standard
12 intraocular lens, and thus the implantable telescope
13 requires a larger incision, approximately 12
14 millimeters as compared to the relatively small 2 to
15 4 millimeter incisions that we use with standard
16 foldable intraocular lenses in cataract surgery
17 today.

18 The IMT is designed to be placed in the
19 capsular bag. The angulation of the haptic displaces
20 the device posteriorly keeping the bag taut, as you
21 can see here, and provides positional stability,
22 centration, and allows adequate clearance between the
23 device and the corneal endothelium.

24 In addition, the square edge of the
25 posterior optic and the large surface area of contact

1 between the optic and the capsule minimizes the
2 potential for posterior capsular opacification. The
3 anterior surface of the device extends through the
4 plane of the iris by approximately half a millimeter.

5 In this brief video, key components of the
6 procedure will be demonstrated. After a -- has been
7 performed, a 12 millimeter grooved incision at the
8 limbus and a paracentesis are performed, and then a
9 capsule rhexis at a minimum of 6.5 millimeters but
10 ideally 7 millimeters and even a little bit larger,
11 is performed, allowing placement of the intraocular
12 lens easily within the lens capsule. The anterior
13 chamber is entered, and a phacoemulsification is
14 carried out through the small incision as well as
15 clean up of the cortex in the typical fashion that we
16 would typically do with cataract surgery.

17 Now a dispersive OVD is placed again to
18 protect the endothelium, and the wound is open to the
19 extent of the 12 millimeters in this case using a
20 microscissors. It's important that this really be 12
21 millimeters. And then by lifting the cornea without
22 tenting it up and without bending it too greatly, the
23 lens can be placed. Additional OVD is placed in a
24 soft shell technique to provide space maintenance,
25 and then a dispersive OVD is placed on the device

1 itself. It's important, because microcracks can
2 occur, that this be handled very delicately by
3 holding onto either the bridge or to the haptic
4 itself, and then while lifting the cornea, the lens
5 is placed as atraumatically as possible, putting the
6 inferior haptic in the bag and trying to avoid
7 endothelium touch, and then it is rotated to a
8 6 o'clock and 12 o'clock position using two micro
9 hooks through the incision which aids in the
10 stability of the lens within the lens capsule.

11 The wound is then closed using multiple
12 interrupted sutures, and the residual OVD is removed
13 using a bimanual irrigation aspiration technique, and
14 then finally at the conclusion, a small peripheral
15 iridotomy is performed using micro instrumentation.
16 A -- injection of methylprednisolone is typically
17 given or betamethasone along with the topical
18 antibiotic as the procedure is concluded.

19 I think there are a number of very
20 important steps in the procedure that I would like to
21 just briefly summarize and emphasize to you.

22 First is proper wound construction. It's
23 important that the wound be a minimum of 12
24 millimeters to allow as big a space as possible to
25 place the large lens.

1 A capsule rhexis of 7 millimeters is very
2 important. This allows easier positioning of the
3 device into the lens capsule because of the slight
4 stiffer nature of the haptics in this particular
5 device.

6 Appropriate OVD use to coat the endothelium
7 and to provide space maintenance during the procedure
8 is critical.

9 Lifting the cornea without folding and
10 tenting it and inserting the device at pretty much a
11 45 degree angle, as you can see here, to avoid the
12 touch that can occur, is very important to minimize
13 the risk of corneal touch.

14 And then minimizing intraocular
15 manipulation, placing the loops in the capsule or bag
16 and rotating the haptics to 12 o'clock and 6 o'clock
17 also aids in the stability, and then, of course,
18 careful attention to the wound integrity at the
19 conclusion to maintain the anterior chamber.

20 This is a photograph of a patient
21 approximately six weeks postoperatively. You can see
22 a well-healed wound, the IMT in good position, and a
23 slight protrusion of the optic through the pupil into
24 the anterior chamber.

25 Thank you very much for your attention, and

1 I'd like to now turn the podium over to Dr. Gordon.

2 DR. GORDON: Thank you, Steve. My name is
3 Judy Gordon, and I am the regulatory consultant to
4 VisionCare.

5 Protocol IMT-002 was a prospective,
6 multicenter clinical trial, designed to evaluate the
7 IMT for the improvement of visual acuity in subjects
8 with bilateral, stable, untreated, moderate to
9 profound central vision impairment arising from end-
10 stage age-related macular degeneration or Stargardt's
11 macular dystrophy.

12 Eligibility criteria for protocol IMT-002
13 may be found on pages 10 through 12 of our executive
14 summary and will not be repeated in their entirety.
15 However, key inclusion criteria are shown on this
16 slide.

17 The diagnosis of bilateral, stable,
18 untreatable AMD was confirmed by baseline fluorescein
19 angiography. Moderate to profound vision loss was
20 defined as baseline distance visual acuity of 20/80
21 to 20/800. All study subjects were required to have
22 a cataract, and adequate peripheral vision in the
23 fellow eye was required to ensure that the patient
24 could navigate after implantation of the IMT.

25 Screening of both eyes with an external

1 telescope was performed, and patients needed to have
2 an improvement of at least five letters with the
3 external telescope for enrollment. Finally, the
4 protocol required patients to have an anterior
5 chamber depth of 2.5 millimeters on A-scan.

6 Patients with endothelial cell density of
7 less than 1600 cells per millimeter squared were
8 excluded from enrollment as were patients with a
9 variety of ophthalmic disorders as shown on this
10 slide.

11 The schedule of visits in the IMT-002 study
12 is shown here. In addition to the scheduled visits,
13 study subjects returned for IMT training under the
14 direction of a low vision professional at the study
15 site at weeks 1, 2, 4, 6, 10 and 12. Each study
16 visit included a complete ophthalmic examination and
17 specular microscopy was performed using non-contact
18 Konan units at off sites. Specular images were
19 analyzed centrally by the specular microscopy reading
20 center at Emory University.

21 Briefly turning now to Protocol IMT-002
22 Long Term Monitoring, which we also call LTM, this
23 study was originally intended to be the postapproval
24 study designed to provide long-term follow-up, but
25 VisionCare decided to conduct this trial before

1 approval with the thought that it would provide
2 additional data on which approval could be based.

3 Patients in the LTM study were examined at
4 months 30, 36, 42, 48, 54 and 60. Because many
5 patients had not reached their 54 or 60 month visit
6 at the time of data lock, we report data through 48
7 months in our presentation.

8 The parameters evaluated at each visit are
9 shown on this slide.

10 Demographic and baseline information for
11 the IMT-002 and the IMT-002-LTM populations are
12 summarized here. The two study populations were
13 similar at the preoperative baseline. Mean age was
14 approximately 75 years, and the majority of patients
15 in both populations were Caucasian. Mean baseline
16 BCVA was also similar for the 002 and LTM populations
17 at 20/312 and 20/307 respectively.

18 Accountability is shown on this slide.
19 Protocol 002 enrolled 218 subjects at 28 clinical
20 sites. One subject cancelled surgery resulting in a
21 cohort of 217 operated eyes. As a result of surgical
22 complications, 11 subjects were not implanted with
23 the IMT, leaving a cohort of 206 IMT-implanted
24 subjects.

25 For the remainder of our presentation, we

1 refer to the cohort which underwent surgery, whether
2 or not they received an IMT as the operated eyes.
3 The subjects who were implanted with an IMT are
4 referred to as the IMT-implanted eyes.

5 VisionCare made repeated extensive efforts
6 to enroll in the LTM study all subjects who
7 participated in the IMT-002 study, and 129 subjects
8 were enrolled. Most of the subjects in this LTM or
9 long-term monitoring study did not enroll until after
10 the window had passed for the 30-month visit. Of the
11 85 subjects enrolled by 36 months, 84 were available
12 for analysis. At 48 months, 106 of 123 were
13 available for analysis. Reasons for discontinuation
14 included death, loss to follow-up, and one IMT
15 removal.

16 Dr. Doyle Stulting will now present the
17 effectiveness and safety outcomes for these studies.

18 DR. STULTING: Thank you, Judy. I'm Doyle
19 Stulting. I was an investigator for this study,
20 implanting 15 of the study eyes. I will be
21 discussing efficacy and safety results.

22 The primary effectiveness endpoint for the
23 IMT-002 study was an improvement at 12 months of two
24 lines or more in either near or distance best-
25 corrected visual acuity in 50 percent of the

1 implanted eyes.

2 The secondary effectiveness endpoints were
3 improvements in visual function, quality of life,
4 using two different questionnaires, the VFQ-25 and
5 activities of daily life.

6 I'm going to begin with visual acuity.
7 Improvements in visual acuity exceeded the primary
8 effectiveness endpoint for the trial. At 12 months,
9 90 percent of IMT-implanted eyes and 88 percent of
10 all operated eyes had at least a 2 line gain in
11 either near or distance vision, exceeding the 50
12 percent specified as the primary endpoint.

13 Those gains were maintained at 24 months
14 for all operated eyes and those implanted with the
15 IMT. Patients implanted with the 2.2X and the 3X
16 models achieved about the same results.

17 For all IMT-implanted eyes at 12 months, 70
18 percent improved 2 or more lines in both near and
19 distance acuity, 50 percent gained 3 or more lines in
20 both, 80 percent of subjects gained 2 lines or more
21 of distance visual acuity, 66 percent gained 3 lines
22 or more, 45 percent gained 4 lines or more, and 25
23 percent gained 5 lines or more.

24 The gains in best-corrected near vision
25 were similar.

1 Overall, there was a mean improvement of
2 3.4 lines of best-corrected distance vision at 12
3 months. The mean best-corrected distance vision
4 improved from 20/312 at baseline to 20/141 at 12
5 months and 20/149 at 24 months.

6 Improvements in near vision were similar.

7 We also looked at improvements in each of
8 the three baseline distance vision groups, 20/80 to
9 20/160, 20/160 to 20/400, and worse than 20/400. All
10 three groups met the two line endpoint criteria. The
11 effect was greatest in eyes with the worst baseline
12 vision.

13 In addition to these comparisons to
14 baseline, we were also able to compare the IMT-
15 implanted eyes to fellow eyes. As you can see in
16 this graph, many more implanted eyes gained lines of
17 distance vision at 24 months than did fellow eyes,
18 and fewer implanted eyes lost visual acuity.

19 The fellow eye data show a normal
20 distribution with a similar number gaining or losing
21 visual acuity at two years. The distribution of the
22 IMT eyes shows the majority of patients with improved
23 vision.

24 During the LTM trial, subjects maintained
25 significant improvement in best-corrected distance

1 vision compared to baseline levels. At 36 and 48
2 months after IMT implantation, approximately 70
3 percent of LTM subjects had a 2 line or greater
4 improvement in best-corrected distance vision, and
5 approximately 50 percent had a 3 line or greater
6 improvement. Mean best-corrected distance vision
7 improvements were generally retained at 36 and 48
8 months in IMT-implanted eyes.

9 Consider what an improvement in 3.4 or even
10 greater lines of visual acuity would mean to a
11 patient performing activities of daily life. I doubt
12 that any of the people with end-stage macular
13 degeneration who undergo IMT implantation will ever
14 be able to drive. Some of them though will be able
15 to read a newspaper. Some of them who were unable to
16 recognize their grandchildren across the room will be
17 able to do so, and some whose spare time was
18 previously occupied with arts and crafts will be able
19 to again enjoy those activities. Even a simple
20 activity of watching television from across the room
21 may again be possible.

22 As you heard from the previous speakers
23 this morning, there's no question that the IMT makes
24 a difference in the lives of its recipients: reading
25 when it was impossible before, making turtles for the

1 neighbors, and not stepping on the cat.

2 We use two objective assessments of quality
3 of life to measure the impact of the IMT. The first
4 is the NEI visual function questionnaire using the 25
5 item version. The second is the activities of daily
6 life survey.

7 The VFQ has 25 items which are divided into
8 12 subscales. Each is measured on a 100 point scale.
9 For any subscale, and for the overall composite
10 score, changes of 5 to 10 points are clinically
11 meaningful. Three subscales, those for general
12 vision, near activities, and distance activities, are
13 important for daily living. For these subscales,
14 scores improved by 14, 11, and 8 points,
15 respectively. Also dependency improved by 10 points,
16 social functioning by 9 points, and role difficulties
17 by 7 points. Other subscales for ocular pain and
18 driving were generally unchanged. As expected,
19 because of the way the IMT limits peripheral vision,
20 the peripheral vision subscale decreased by 6 points.
21 General health declined by 5 points probably for
22 reasons unrelated to vision as seen in other studies
23 in this age group.

24 The overall score, which includes all
25 vision related items but excludes general health,

1 increased by 6 points at 1 year.

2 The results of the activities of daily life
3 assessment were similar.

4 We also looked at whether the improvement
5 in visual acuity could have resulted from cataract
6 removal rather than implantation of the IMT. There
7 are several analyses demonstrating that cataract
8 extraction did not account for the improvements that
9 we saw in visual acuity.

10 First, the mean cataract density in the
11 implanted eyes estimated by the investigators was 2
12 plus. Clinical experience suggests that removal of
13 such a cataract would not result in improvements in
14 visual acuity in this experimental population of
15 patients with end-stage macular degeneration.

16 Physicians, I among them, usually advise
17 end-stage macular degeneration patients that removal
18 of a mild cataract and placement of an IOL might
19 improve problems with glare but that it will not
20 improve central acuity.

21 Recall that mean improvements in IMT-
22 implanted eyes in this study was 3.4 lines, an
23 improvement appreciably greater than one would expect
24 from a 2 plus cataract in patients who are legally
25 blind or near so.

1 There are three sources of data from the
2 study to allow us to evaluate the potential
3 contribution of cataract removal.

4 First, we can look at visual acuity in a
5 cohort of fellow eyes that underwent cataract removal
6 and IOL implantation. In these 22 eyes, mean
7 improvement in vision was .35 lines or 2 letters on
8 the ETDRS chart.

9 Second, there were eyes in which cataract
10 removal was performed with implantation of IOL rather
11 than an IMT because of intraoperative complications.
12 In this group of 9 eyes, mean improvement at best-
13 corrected distance vision was .38 lines, again two
14 letters on the ETDRS chart.

15 These changes of approximately half a line
16 are minimal compared to the mean gain of 3.4 lines in
17 the IMT eyes.

18 When comparing the 22 fellow eyes that
19 underwent cataract extraction and IOL implantation
20 during the study, it is apparent that the difference
21 between the proportion of eyes that gained 2 or more
22 lines and those that gained 3 or more lines is very
23 large.

24 Patients with bilateral end-stage macular
25 degeneration implanted with the IMT experienced

1 meaningful gains in visual acuity. Quality of life
2 also improved at a clinically meaningful level.

3 Let me now turn to safety. Safety outcome
4 measures for this study was best spectacle-corrected
5 visual acuity, endothelial cell density,
6 complications, and adverse events.

7 At 12 months, 5 percent of eyes lost 2 or
8 more lines of near or distance vision without a
9 corresponding gain in the other. At 24 months, the
10 rate was 6 percent.

11 Since we measured visual acuity in fellow
12 eyes, we know the impact of the underlying macular
13 degeneration on our study population. More than 10
14 percent of the non-implanted fellow eyes lost more
15 than two lines of best-corrected distance vision by
16 36 and 48 months of follow-up, which is significantly
17 greater than that seen in the IMT-implanted eyes.

18 Eleven eyes were not implanted with the IMT
19 due to surgical complications. Five of these aborted
20 cases, three capsular ruptures and two choroidal
21 detachments that occurred before IMT implantation was
22 even attempted. In six cases, operative
23 complications occurred during the insertion of the
24 IMT, including four cases of capsule rupture, one
25 case of zonular dehiscence and one case of suspected,

1 but not actual, choroidal hemorrhage. The IMT was
2 not implanted in these eyes and instead an
3 intraocular lens was placed of standard design.

4 Those of you who are familiar with modern
5 IOL implantation might think that these rates of
6 capsule rupture during IMT implantation are high, and
7 you are correct. In fact, we became aware of this
8 increased rate of capsule rupture during the clinical
9 trial. This was related to the relative stiffness of
10 the IMT haptics compared to those of traditional
11 implants.

12 As Steve mentioned in his presentation, the
13 investigators quickly learn techniques for
14 implantation that reduced the rate of capsule and
15 zonular damage during surgery.

16 There were eight IMT explants during the
17 clinical studies. Two devices were explanted early
18 in the study as a result of device failures, cracks
19 in the cylinder and condensation within the IMT.
20 These device failures may have been due to improper
21 handling of the device. After additional physician
22 training and modifications of the manufacturing
23 process, the problem did not recur.

24 Two were removed during corneal
25 transplantation for the treatment of visually

1 significant corneal edema.

2 Eight IMTs were explanted at the request of
3 the patient because of dissatisfaction. Of these
4 eight, four subjects had an improvement in best-
5 corrected acuity, two had no change in acuity, and
6 two eyes had a loss of best-corrected acuity.

7 Interestingly, 3 of these dissatisfied subjects were
8 among the 6 study subjects with Stargardt's disease,
9 while the other 5 were among 200 patients with
10 macular degeneration.

11 To explain this significant tendency for
12 Stargardt's patients to be dissatisfied with the IMT,
13 we hypothesized that these patients have learned to
14 use their peripheral retinas over their lifetime
15 experience with a hereditary retinal disease as
16 opposed to patients with macular degeneration who
17 acquired their disease later in life.

18 Thirteen cases of persistent corneal edema
19 were observed during five years of follow-up. One of
20 those eyes did not receive an IMT because of surgical
21 complications. Of the 12 eyes with an IMT, edema
22 resolved in 3 eyes, 7 lost vision due to corneal
23 edema, 4 of these underwent corneal transplantation,
24 and 3 had no further intervention.

25 This slides shows details of the eyes that

1 underwent corneal transplantation. As you can see,
2 in each case, there were complications during the
3 initial surgical procedure causing rapid loss of
4 endothelial cells. In three of the four cases,
5 endothelial cell density prior to transplantation was
6 300 to 500 cells per millimeter squared. In the
7 fourth, there was a secondary surgical intervention
8 before transplantation was required. In two cases,
9 the IMT was removed during transplantation, and in
10 the other two, it was left in place.

11 The outcome of corneal transplantation in
12 these eyes was good.

13 Posterior capsule opacification was noted
14 in 12 eyes, but it was not visually significant in 11
15 eyes. There was one case of visually significant
16 posterior capsule opacification. Capsulotomy in this
17 case was performed by a pars plana approach without
18 complication, and vision improved from 20/726 to
19 20/320, just as we might expect with a traditional
20 intraocular lens implant. There were no reports of
21 retinal detachments or endophthalmitis.

22 Since the cornea is curved and the IMT is
23 cylindrical, one might wonder how much clearance
24 there is between the IMT and the mid periphery of the
25 cornea immediately above it.

1 Here we see the distance from the edge of
2 the IMT to the cornea stratified by the preoperative
3 anterior chamber depth measurement in the 45 eyes for
4 which ultrasound biomicroscopy or OCT images were
5 obtained. In these subjects, there was good
6 clearance at the right and left mid periphery of the
7 cornea.

8 Implantation of the IMT does restrict the
9 ability to visualize the retina. However, the retina
10 can still be examined. Although fundus photographs
11 or imaging with other methods was not required by the
12 protocol, at the request of FDA, fundus photographs
13 were collected from clinical sites that have obtained
14 these from IMT-implanted eyes. Investigators were
15 also asked whether fundus examinations had been
16 routinely performed and whether there had been any
17 difficulties in visualizing the fundus.

18 Fundus examinations could not be performed
19 in four percent of the 1800 examinations that were
20 attempted, forcing angiography, diagnostic
21 photography, OCT and/or B scan ultrasonography was
22 successfully performed in almost all of these
23 subjects whose retinas could not be examined by
24 direct visualization.

25 Investigators reported use of a contact

1 lens aided examination in eyes with unsteady
2 fixation.

3 Endothelial cells are responsible for
4 maintaining corneal clarity. Normal eyes have been
5 reported to lose about .6 percent of their
6 endothelial cells each year, and we know that corneal
7 clarity is maintained until the endothelial cell
8 density reaches a level of about 3 to 500 cells per
9 millimeter squared. Endothelial cells are lost
10 acutely during routine cataract surgery, but the
11 remaining endothelial cells are sufficient to
12 maintain corneal clarity in most cases.

13 Before this clinical trial began, we
14 selected a target of 17 percent endothelial cell loss
15 at 1 year. The actual endothelial cell loss was 25
16 percent. Were that rate of loss to persist, many
17 patients would develop corneal edema within their
18 lifetimes. But that initial rapid rate of loss did
19 not persist in the IMT studies.

20 After the initial surgical loss, the
21 chronic later rate of endothelial cell loss was slow,
22 about three percent per year. This biphasic pattern
23 of endothelial cell loss is the same as the pattern
24 reported by Bourne and others following traditional
25 cataract surgery.

1 Another measure of endothelial cell health
2 is morphology. As you can see in these slides, there
3 is a decrease in percent hexagonality immediately
4 following surgery with subsequent recovery to
5 preoperative levels.

6 There is also a barely perceptible change
7 in coefficient of variation.

8 The data on endothelial cell loss
9 associated with IMT implantation are consistent with
10 what has been seen with other cataract surgical
11 procedures. As reported in the literature and shown
12 on this slide, surgical loss following procedures
13 involving larger incisions are associated with higher
14 rates of postoperative endothelial cell loss.

15 For intracapsular cataract surgery, the 12
16 month endothelial cell loss is 22 percent, and for
17 extracapsular surgery, the lose ranges from 9 to 17
18 percent. Chronic loss for extracapsular procedures
19 is reported by Bourne to be 2.8 percent per year,
20 which is quite close to the IMT's 3 percent rate of
21 chronic loss.

22 Endothelial cell loss was 19 percent in
23 non-IMT-implanted fellow eyes that underwent cataract
24 surgery during this study, using modern techniques
25 and small incision, clear cornea surgery.

1 Thus, the observed 25 percent endothelial
2 cell loss produced by IMT implantation is slightly
3 above that reported in the literature after large
4 incision cataract surgery and after routine small
5 incision surgery in this elderly population of
6 patients with macular degeneration.

7 In this study, we had a unique opportunity
8 to determine the rate of endothelial cell loss
9 following routine cataract surgery in these elderly
10 subjects with macular degeneration. This slide shows
11 the chronic endothelial cell loss in fellow
12 contralateral eyes that had cataract surgery with
13 traditional intraocular lens implants in their non-
14 steady eyes.

15 For the period between 12 and 24 months,
16 there was no difference in the rate of endothelial
17 cell loss in the IMT-implanted eyes and pseudophakic
18 fellow eyes, about 3 percent for both. This is
19 consistent with a chronic rate of 2.8 percent
20 following cataract surgery reported in the literature
21 by Bourne.

22 Let us return to our measurements of
23 safety. More than twice as many fellow eyes lost two
24 or more lines of best-corrected acuity than did IMT-
25 implanted eyes. So this criterion was clearly met.

1 There is a 25 percent acute loss of
2 endothelial cells, as you might imagine, having seen
3 the implantation procedure presented by Dr. Lane.
4 This is 5 percent more than that seen after large
5 incision surgery with implantation of traditional
6 IOLs in reported series, and only 6 percent more than
7 we observed in fellow eyes of subjects undergoing
8 cataract extraction with modern, clear cornea
9 incisions during the clinical study.

10 This acute loss is followed by a much
11 slower chronic loss of 3 percent per year, which is
12 no different from that seen in fellow eyes undergoing
13 cataract extraction using modern techniques during
14 the course of the study. Primarily as a result of
15 surgical complications, 3.3 percent of study eyes
16 went on to develop visually significant corneal
17 edema.

18 Complications and adverse events which we
19 did not discuss in detail today are listed in the
20 written Panel summary and are similar to those seen
21 after traditional cataract surgery.

22 We conclude that the clinical trials of the
23 IMT provide valid scientific evidence of efficacy and
24 safety, and we conclude that the benefits exceed the
25 risks in this elderly population of patients who are

1 legally blind from a progressive degenerative retinal
2 disease with no currently available treatment.

3 Thank you. I'll turn the podium over to
4 Dr. Schein.

5 DR. SCHEIN: Good morning. My name is
6 Oliver Schein, and I'm a corneal specialist from the
7 Wilmer Eye Institute at Johns Hopkins. I was also a
8 study investigator.

9 First, I'd like to say that I agree with
10 Doyle, that the benefits of the IMT exceed its risk
11 in the overall study population. And I'll be
12 discussing some idea for how to further reduce the
13 risk of endothelial cell density loss or ECD based on
14 data from the two studies.

15 These risk reduction strategies are likely
16 to reduce ECD loss as a consequence of the surgery
17 and provide a means to maintain ECD at a level needed
18 for healthy cornea during the patient's lifetime.

19 VisionCare evaluated a series of factors
20 known or thought to be relevant to endothelial cell
21 density for their contribution to loss of ECD in the
22 entire IMT-implanted population. These included
23 chronic inflammatory reactions, contact lens wear,
24 history of diabetes or glaucoma, iritis greater than
25 30 days in duration, pigment deposits on the IMT and

1 anterior sneaky eye. And no statistically or
2 clinically significant differences in ECD were found
3 for patients with these factors.

4 Other factors, however, did have an effect
5 on ECD loss at the three-month postoperative period,
6 specifically the presence of guttata, the depth of
7 the anterior chamber, and surgeon training.

8 Corneal guttata are a well-known risk
9 factor for ECD loss following cataract surgery and
10 the same effect was seen in the IMT-002 study as
11 demonstrated here.

12 Anterior chamber depth less than 3
13 millimeters was also a risk factor. There is less
14 working space in these eyes, and considering the size
15 of the IMT, it makes sense for candidate patients to
16 have deeper anterior chambers.

17 Surgeon specialty also made a difference.
18 Subjects whose surgery was performed by a corneal
19 specialist experienced a 13 percent loss of
20 endothelial cell density at 3 months compared to 21
21 percent by non-corneal specialists.

22 The sponsor has taken into account these
23 three issues, guttata, anterior chamber depth, and
24 surgeon specialty, by proposing labeling that deals
25 with all three of these risk production strategies.

1 Here's what implementation of these
2 straightforward risk reduction strategies will help
3 to do. At three months, the patients without guttata
4 and with an ACD of 3 millimeters or greater lost an
5 average of about 170 cells per square millimeter
6 fewer than those with guttata and an ACD less than 3
7 millimeters, and this advantage was clearly
8 maintained over time.

9 Adding the effect of surgery by a corneal
10 specialist further reduces the risk of ECD loss at
11 surgery. ECD loss is lower by approximately 200
12 cells per square millimeter in eyes with guttata,
13 shallow ACD, or implantation by a non-corneal
14 specialist.

15 Thus, the fully risk-reduced cohort arrives
16 at three months, or the end of the postoperative
17 period of greatest cell loss, with a mean ECD of
18 approximately 200 cells per square millimeter higher
19 than the mean ECD of all other eyes in the cohort.
20 Starting the long-term period of postoperative and
21 age-related endothelial cell loss from a higher base
22 should certainly be an advantage since a higher ECD
23 level after surgery should postpone the risk of a low
24 ECD by many years as shown in this model projection
25 in front of you.

1 Now I recognize, as does the sponsor, that
2 these kinds of risk-reduction strategies are based on
3 a relatively small number of subjects and were
4 arrived at by post hoc analyses. In this context,
5 however, that does not appear to me to be
6 problematic. When one is analyzing efficacy data,
7 there are methodologic problems with post hoc
8 analysis, principally the appearance of finding a
9 treatment effect that is not, in fact, present.

10 Here there is no such methodologic issue.
11 Unless the risk-reduction strategy would, in fact,
12 increase risk, and there is no reason to think that
13 that is the case here, finding a risk-reduction
14 strategy is at a minimum neutral, at best highly
15 desirable, and certainly makes commonsense.

16 The presence of corneal dystrophies such as
17 guttata are commonly known to increase the risk of
18 ECD loss in anterior segment procedures. Increasing
19 the minimum required anterior chamber depth increases
20 the working space for cataract removal and the
21 implantation of the device. And corneal specialists
22 are skilled in and comfortable with procedures
23 involving large corneal incisions and related wound
24 management.

25 There's one more approach that can be

1 implemented to help ensure long-term corneal health.
2 The sponsor has proposed a risk-reduction strategy
3 designed to help guide the surgeon and patient in
4 making the decision to proceed with IMT implantation.
5 This is done by means of a decision grid which
6 utilizes preoperative ECD, the patient's life
7 expectancy, and the prediction of ECD loss based on a
8 combination of four-year patient data and
9 conservative estimates of long-term endothelial cell
10 loss.

11 There is a precedent for this strategy. An
12 ECD decision grid is currently employed in the
13 labeling for phakic intraocular lenses.

14 To build the grid, we specified a minimum
15 of 750 endothelial cells per square millimeter at the
16 end of life. We believe that 750 is a reasonable and
17 conservative threshold because eyes in the range of
18 500 to 750 typically do not have vision loss from
19 swollen corneas. We used standard estimates for life
20 span for men and women at various ages.

21 And the question we want to answer is how
22 much endothelial cell density a patient needs to have
23 at the time of implantation to stay at or above 750
24 throughout his or her life span? That depends, of
25 course, on the assumptions you made first about the

1 rate of surgical loss and second about the annual
2 rate of loss.

3 For both the surgical rate of loss and the
4 subsequent annual rate of loss, we built the grid
5 using values from our predictive model with date
6 through 48 months. Given person-to-person
7 variability, and to be more conservative, for the
8 annual rate of loss, we used not the predicted mean
9 but rather its upper 90th percent confidence bound
10 which is 6.2 percent per year.

11 Under this assumption, here are the minimum
12 ECD values prior to implantation various patients
13 must have to provide a minimum ECD of 750 cells per
14 square millimeter throughout their lives.

15 So as you can see in this example here, a
16 70-year-old male would need to have at least about
17 2200 cells per square millimeter prior to
18 implantation based on those assumptions.

19 If you recommend, as I do, to use the ACD
20 and guttata risk-reduction strategies, then you can
21 slightly reduce the pre-implantation ECD required
22 because of the ECD sparing properties of those
23 strategies. Under that assumption, as shown in this
24 slide, a 70-year-old male would need to have about
25 2,000 cells per square millimeter prior to

1 implantation.

2 Certainly one can debate about the best or
3 the most appropriate grid, but both of the grids I
4 have shown or any potential grid will certainly
5 inform surgeons' and patients' decisions about the
6 ECD needed prior to surgery to maintain ECD levels
7 higher than 750 over a patient's life span.

8 None of the grids that I have showed you
9 has incorporated the lower levels of ECD loss
10 anticipated when surgery is done by a corneal
11 specialist. That layer of prediction is in addition.
12 No sulcus fixation is also listed as implantation
13 inside the capsular bag assures both better fixation
14 of the device and additional clearance from the
15 cornea.

16 I would like to close by putting the IMT in
17 a clinical perspective that makes sense to me as an
18 anterior segment specialist who has become very
19 familiar with the device and the data.

20 First, where are candidate patients likely
21 to come from? Almost certainly they will come from
22 retinal and low vision specialists who will refer
23 patients with bilateral central vision loss from AMD
24 whom they know are frustrated with their vision and
25 are interested in exploring the full range of low

1 vision options.

2 Second, patients will be evaluated by low
3 vision specialists who will determine that the
4 patient responds to a standard external telescope.
5 Practically speaking, patients with improved vision
6 with an external telescope, but have difficulty
7 applying or sustaining its use, are the ones who will
8 likely be referred, or at least the subset of such
9 patients that the low vision specialist believes will
10 be able to participate adequately in the post-
11 surgical low vision training period.

12 Finally, when such patients are referred to
13 me, I will have a frank discussion of the surgical
14 risks and benefits. The chief benefit is an
15 excellent likelihood of achieving three or more lines
16 of improvement in vision. The principal risk is loss
17 of corneal clarity resulting in a loss of more than
18 two lines of vision or corneal transplant. Using the
19 entire IMT cohort, that risk was about 1 in 30 over a
20 5 year interval. If the patient has an adequate ECD
21 per the grid, and I choose patients with adequate
22 anterior chamber depth and without guttata, I can
23 feel quite comfortable that the patient will live his
24 or her life with improved visual acuity and quality
25 of life without ever falling below the critical level

1 of endothelial cell density.

2 In summary, implanting the IMT in patients
3 with end-stage age-related macular degeneration, with
4 moderate to profound vision loss, confers gains in
5 vision and quality of life that are clinically
6 significant, and their benefits exceed their risk.

7 Thank you.

8 DR. WEISS: Is this concluding the
9 sponsor's presentation?

10 MR. HILL: Yes.

11 DR. WEISS: Thank you very much. We will
12 now open up to the Panel members to ask the Panel
13 members if they have any questions for the sponsor.
14 Also, the Panel can understand that there's going to
15 be opening to ask the sponsor questions later on.
16 Yes, Dr. Eydelman.

17 DR. EYDELMAN: Dr. Weiss, I just wanted to
18 bring Panel members' attention to two slides in the
19 sponsor's presentation that contain the analysis.
20 We're not aware whether it was submitted in official
21 amendments to the PMA. Hence, we cannot verify their
22 validity at this time. Unfortunately these slides
23 aren't numbered, but specifically the two slides were
24 in Dr. Stulting's presentation. One is entitled
25 % ECD Loss After Cataract Surgery, and it's the 19

1 percent that's circled in red, and the following
2 slide entitled Pseudophakic Fellow Eyes: Chronic ECD
3 Loss of 3 percent.

4 DR. WEISS: Thank you. I just want to,
5 yeah, I want to localize them, and I guess we all
6 need to localize them. So could you just show that
7 to us, or would it be easier for you to present the
8 two slides that Dr. Eydelman is --

9 MR. HILL: Let me just address, that the
10 reference to the 14 percent loss and 19 percent loss
11 was provided in the executive summary. I believe
12 that's something on page 46 of the booklet you have.
13 I won't be quite right on that, if someone cares to
14 look at that. So these were again contralateral
15 fellow eyes that had cataract surgery and IOL
16 implant. So that information was submitted.

17 DR. EYDELMAN: Could you please clarify
18 whether it was submitted in the official amendments
19 to the PMA?

20 MR. HILL: Yes, I believe it was, Malvina.

21 DR. EYDELMAN: Okay.

22 MR. HILL: We don't have the volumes in
23 front of us.

24 DR. EYDELMAN: Okay.

25 MR. HILL: As it relates to the

1 pseudophakic fellow eyes, annual rates of loss,
2 previously submitted to the FDA. I'll find the
3 amendment.

4 DR. EYDELMAN: Thank you.

5 MR. HILL: There are rates of pseudophakic
6 fellow eyes at the onset of surgery or at the onset
7 of implant, or prior to the enrollment. That has
8 been previously submitted to the FDA. I will find
9 that.

10 DR. EYDELMAN: Thank you.

11 MR. HILL: All right.

12 DR. WEISS: The entire PMA is here. So it
13 might be beneficial, and the Panel will just star
14 these two, but if you can find where the amendment
15 is --

16 MR. HILL: We will do our best.

17 DR. WEISS: -- then we can include that.

18 MR. HILL: Yes. Thank you.

19 DR. WEISS: And for everyone on the Panel,
20 what we're looking at or the slides in question, the
21 top of the page is % ECD Loss After Cataract Surgery,
22 that slide was included, but the slide that we're
23 referring to is the slide underneath with the yellow
24 highlight, and from what I understand, the next page,
25 on the top of the page, Pseudophakic Fellow Eyes:

1 Chronic ECD Loss.

2 Does anyone on the Panel have a question
3 for the sponsor? Dr. Higginbotham. And also for the
4 benefit of the transcripts later on, can everyone
5 from the Panel, when you make a comment, give your
6 name into the microphone so it will be easy for them
7 to identify.

8 DR. HIGGINBOTHAM: Eve Higginbotham. I was
9 impressed by the difference in outcome between
10 corneal specialists compared to non-corneal
11 specialists, and certainly the impact of the ACD, the
12 anterior chamber depth, as well as the guttata in
13 terms of patient selection was also quite striking.
14 So my question is whether or not corneal specialists
15 were less likely to choose patients who had guttata
16 and AC depth that was rather small? So is it a
17 matter of patient selection or technique that one
18 needs to tease out here?

19 DR. WEISS: And if you can also identify
20 yourself, Steven.

21 DR. LANE: Sure. Steve Lane. I can only
22 speak for myself, but I certainly did not pre-choose
23 patients based on corneal guttata. I think this was
24 all data that was determined in the analysis that
25 came out, and certainly patients who had end-stage

1 guttata or guttata were certainly not chosen I think
2 probably by anybody, but in terms of my own personal
3 selection of patients, it had nothing to do with the
4 preoperative counts that were obviously counted each
5 time preoperatively. So we looked at the endothelial
6 cell modulator in making our decisions about surgery,
7 but certainly there were no determinations, at least
8 on my part. I can't speak for Doyle or any of the
9 other investigators.

10 DR. WEISS: Dr. Bandeen-Roche.

11 DR. BANDEEN-ROCHE: Thank you.

12 MR. STULTING: May I continue the answer to
13 that last question?

14 DR. WEISS: Sure. Doyle.

15 MR. STULTING: I'm Doyle Stulting. These
16 patients came to us after being screened by the
17 retinologist and the low vision specialist, and I
18 personally did not exclude anyone. So there was no
19 selection on our part, and I think I speak for all of
20 the surgeons. I think the difference probably
21 between the outcomes from corneal specialists and
22 those from other implanters was the training and the
23 ability to handle the cornea in a way that avoids
24 endothelial cell damage.

25 These are large implants. The surgical

1 technique is very different than it is from modern
2 intraocular lens implantation. Some training is
3 necessary, and I think that would be part of the
4 postmarket plan.

5 DR. WEISS: Doyle, I have a question again
6 with the corneal surgeons. I guess all of us are
7 probably wondering, is there a confounding variable?
8 I think that's what you're getting at. Now, as a
9 corneal surgeon, of course, I know corneal surgeons
10 do it better, but I'll put that aside for the moment.

11 Let's say the largest implanters of the
12 device, the top five implanters, were they all
13 corneal surgeons?

14 MR. HILL: Allen Hill. No.

15 DR. WEISS: So there wasn't a correlation
16 between the number that were done and the fact that
17 the individual's a corneal surgeon who implanted it?

18 MR. HILL: There is a correlation, not
19 necessarily statistically significant correlation
20 between experience. The more cases you do, the
21 better you get, the lower the cell loss. One of the
22 interesting things was in the analyses of the data,
23 that was called a training effect, was not present
24 for corneal trained specialists. I think that
25 largely drives what we're seeing in the data.

1 DR. WEISS: So that the corneal surgeon
2 didn't need to do five before they hit their stride.
3 Their first five were similar to their later cases?

4 MR. HILL: That's in general correct, yes.

5 DR. MATOBA: Might they have been older
6 surgeons who had done extracaps or even one or two
7 intracaps, you know, early on in their training?

8 MR. HILL: No offense --

9 DR. MATOBA: That occurs to me having been
10 in that category.

11 MR. HILL: No offense to the surgeons and
12 our advisors, but all of them have gray hair.

13 DR. WEISS: Before or after implanting the
14 device? Sorry. That was Dr. Matoba asking the
15 question.

16 DR. MATOBA: Yes, sorry.

17 DR. WEISS: And I'm going to give everyone
18 else a chance. We're going to stay on this question.
19 So anyone who has a question about the corneal
20 surgeon part, I'd like to stay on this aspect of it,
21 and Dr. Stulting's going to answer that aspect, and
22 if any other Panel members want to proceed with other
23 questions on this particular topic, then we'll go
24 onto another topic. Dr. Stulting.

25 DR. STULTING: To address Dr. Matoba's

1 question about gray hair, I have a little but not a
2 lot yet. I would comment that during the
3 implantation procedures, it was my thought that
4 people who had had experience with large incision
5 surgery would probably be much more familiar with it.
6 Certainly surgeons that are going through training
7 today don't get this kind of experience, and that's a
8 very good question.

9 To address another of the questions,
10 whether corneal surgeons happen to be selecting
11 patients, I'd also like to comment that certainly in
12 our institution, these patients came to us through
13 the retinal service. None of them were my patients
14 before the IMT implantation was recommended. So
15 there was really no filtration by the anterior
16 segment surgeons. These were mainly people who
17 resided in retina practices and low vision practices
18 before they came to us.

19 DR. WEISS: Does anyone else on the Panel
20 have a question specifically about the corneal
21 surgeon aspect. Otherwise, if not, we'll -- yes.

22 DR. SUNNESS: This has been very helpful
23 and enlightening for understanding the study. I'm
24 Janet Sunness.

25 The issue of endothelial cell loss and your

1 calculation of it is obviously critical to the safety
2 of the IMT. And in the graphs you provide of
3 endothelial cell loss over time, the initial IMT
4 study itself includes all eyes, and obviously the LTM
5 study only includes those LTM eyes, which is about a
6 half or so of the total population. And what I was
7 wondering is if you looked at just the eyes that you
8 had in the LTM, and you did the same kind of
9 analysis, what would be the endothelial cell loss
10 because it's possible, for example, that the cohort
11 in the LTM study had either higher ECD on levels at
12 baseline or a lower rate of declining, and that would
13 affect how we look at this.

14 MR. HILL: Allen Hill. Dr. Sunness, in
15 regard to the, take the group, the population that
16 enrolled in the long-term monitoring trial, which in
17 total was about 129 patients as compared to the
18 patients who did not enroll, in terms of all baseline
19 characteristics, they were very much the same. One
20 small difference, maybe it's not so small, that you
21 should be aware of is that at three months postop,
22 the patients in the LTM study did have a higher ECD
23 loss. We followed that from three months throughout
24 all of available data. The rates of loss for that
25 group are comparable to the number we have for all

1 patients, all available data, that was presented.
2 There are tables, various tables that represent that
3 data in our submission.

4 So I think I can say without any
5 hesitation, the rates of loss for that population,
6 whether you include or exclude the non-LTM patients,
7 are comparable.

8 DR. WEISS: Karen.

9 DR. BANDEEN-ROCHE: I have some data
10 elaboration questions which might not be necessary to
11 answer right now. I don't know if it's possible to
12 look up some of them. I had the same question as
13 Dr. Sunness, and I suppose I also missed that table.
14 If you could direct us to the relevant information,
15 that would be helpful.

16 So three aspects. In terms of the
17 effective, the IMT versus cataract surgery, it would
18 be helpful if you could comment on the relative
19 baseline acuity for the control versus the IMT eyes.
20 So I'm getting at how comparable were the control on
21 the IMT eyes in terms of the change that might have
22 been expected?

23 The second question goes to the safety
24 outcomes of the loss of best-corrected distance
25 vision as well as corneal edema. Are there any

1 accumulative incidents analyses, you know, something
2 like a Kaplan-Meier that accounts for censoring? So
3 that's a second question.

4 And then finally, in terms of the decline
5 in endothelial cell density, the model, the
6 biexponential model, you know, is an elegant, seems
7 like a very reasonable model. But two aspects: It
8 would be very helpful to see the actual fit of that
9 model to the data, you know, so that if there's
10 anything sort of providing a plot of the data with
11 respect to the fit of the model. And then finally,
12 the model assumed independence of the observations.
13 I know that's the way it was in the original paper,
14 but the problem with that is that the confidence
15 bounds could well be invalidated, and so I'm just
16 wondering whether any analyses that tried to account
17 for lack of independence was conducted, for instance,
18 using a robust variance correction. Thank you.

19 DR. WEISS: If the sponsor has any answers
20 to any of those questions, you can supply those, or
21 if it's something that needs to be looked up and
22 supplied later.

23 MR. HILL: Yes, we'd like to take a little
24 bit of time to --

25 DR. WEISS: Come back and speak to us about

1 that.

2 MR. HILL: -- provide the answers in a
3 comprehensive fashion.

4 DR. WEISS: No problem. You will have that
5 time. Alice.

6 DR. MATOBA: I have two questions. The
7 first is regarding the eight patients who chose to
8 have their implants explanted. You said they were
9 merely dissatisfied, but I just wondered, do you have
10 any more insight into why they chose to undergo
11 another procedure to remove it. If they were merely
12 dissatisfied, it just seems drastic that they would
13 want another procedure. And could you give us
14 follow-up as to what the endothelial cell loss was
15 after the second procedure and how they did with
16 follow-up after the explantation?

17 MR. HILL: Allen Hill. Regarding the
18 reason for the explants, the primary reason was a
19 statement of displeasure due to glare, that type of
20 thing. In individual discussions with the
21 investigators regarding these patients and low vision
22 specialists that were managing these patients during
23 the training period, we recommended various glare
24 mitigation strategies. Some of these patients who
25 voiced displeasure just did not go along with things