

UNITED STATES OF AMERICA

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR DRUG EVALUATION AND RESEARCH

NONPRESCRIPTION DRUGS ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

LABELING AND DOSING OF OVER-THE-COUNTER PEDIATRIC

ANALGESIC/ANTIPYRETIC DRUG PRODUCTS

+ + + + +

THURSDAY, SEPTEMBER 18, 1997

+ + + + +

The Advisory Committee met, pursuant to notice, at 8:30 a.m., in the Ballroom of the Holiday Inn, 2 Montgomery Village Avenue, Gaithersburg, Maryland, Ralph D'Agostino, Ph.D., Chairman, presiding.

PRESENT :

RALPH D'AGOSTINO, Ph.D.	Chairman
THEODORE G. TONG, Pharm.D.	Member
ERIC P. BRASS, M.D., Ph.D.	Member
PATRICIA McGRATH, Ph.D.	Member
LYNN McKINLEY-GRANT, M.D.	Member
MARY ANNE KODA-KIMBLE, Pharm.D.	Member
GEORGE A. BLEWITT, M.D.	Member
MICHAEL WEINTRAUB, M.D.	Member

REPRESENTATIVES FROM THE ARTHRITIS ADVISORY COMMITTEE :

DANIEL J. LOVELL M.D., M.P.H.
FRANK PUCINO, JR., Pharm.D.

PRESENT : (continued)

GUEST SPEAKERS :

CHESTON M. BERLIN, JR., M.D.
TOBY LITOVITZ, M.D.

ON BEHALF OF THE NONPRESCRIPTION DRUG MANUFACTURERS
ASSOCIATION :

WILLIAM SOLLER, Ph.D. Senior Vice President and
Director of Science &
Technology

SAMUEL M. LESKO, M.D., M.P.H., Slone Epidemiology
Unit, School of Public Health
Boston U. School of Medicine

ANTHONY TEMPLE, M.D. Executive Director of Medical
Affairs, McNeil Consumer
Products Co.

RICHARD S. WEISMAN, Pharm.D., Research Associate
Professor of Pediatrics,
University of Miami School of
Medicine

RALPH KAUFFMAN, M.D.

WAYNE R. SNODGRASS, M.D., Ph.D.

PRESENTERS FOR THE FDA :

DEBBIE LUMPKINS Division of Over-the-Counter
Drug Products

E. DENNIS BASHAW, Pharm.D. Division of
Pharmaceutical Evaluation III

ROGER A. GOETSCH, Pharm.D. Division of
Pharmacovigilance and
Epidemiology

I-N-D-E-X

	<u>Page</u>
Call to Order, Introductions Ralph D'Agostino, Ph.D., Chair	4
Meeting Statement, Andrea Neal, DMD, MPH Executive Secretary	6
Opening Comments, Michael Weintraub, M.D. Director, Office of Drug Evaluation V	8
FDA Presentation	9
Guest Speaker, Toby Litovitz, M.D.	42
Guest Speaker, Cheston M. Berlin, Jr., M.D.	73
Nonprescription Drug Manufacturers Association	80
Open Public Hearing	156
Charge to the Committee Linda Katz, M.D., M.P.H.	170
Discussion and Questions	175

P-R-O-C-E-E-D-I-N-G-S

(8:30 a.m.)

CHAIRMAN D'AGOSTINO: Good morning. I'm Ralph D'Agostino, the Chairman of the Nonprescription Drugs Advisory Committee. Is this mike giving an echo?

This meeting is a meeting of the Nonprescription Drugs Advisory Committee, with representation from the Arthritis Advisory Committee, and we're going to be considering labeling and dosing of over-the-counter pediatric analgesic/antipyretic drug products.

What I'd like to do now is ask the people around the table, the FDA and the panel members and the guests, if they would introduce themselves, speaking into the mike so that the transcriber can get your position and also see if the mike is working correctly. Dr. Katz, would you please start?

DR. KATZ: I'm Linda Katz, Deputy Director of OTC Drugs at the FDA.

DR. WEINTRAUB: Mike Weintraub, Director of the Office of Drug Evaluation Number V.

DR. MCKINLEY-GRANT: I'm Lynn McKinley - Grant. I'm a dermatologist in Washington at the G.W. and Washington Hospital Center, and I'm a member of

1 the Nonprescription Drugs Advisory Committee.

2 DR. PUCINO: Frank Pucino from the
3 Pharmacy Department at the National Institutes of
4 Health, and I'm with the Arthritis Advisory Committee .

5 DR. TONG: Good morning. I'm Ted Tong .
6 I'm a member of the Nonprescription Drugs Advisory
7 Committee, and I'm from the University of Arizona ,
8 College of Pharmacy and Medicine.

9 DR. NEAL: Good morning. Andrea Neal .
10 I'm the Executive Secretary to the Committee.

11 DR. SILVERMAN: I'm Earl Silverman, a
12 pediatric rheumatologist from the Hospital for Sick
13 Children, University of Toronto.

14 DR. McGRATH: Hi. Patricia McGrath from
15 the University of Western Ontario, a member of the
16 Non-Drugs Prescription Advisory Committee.

17 DR. KODA-KIMBLE: Mary Anne Koda-Kimble
18 from the University of California at San Francisco ,
19 School of Pharmacy, and I'm a member of the
20 Nonprescription Drugs Advisory Committee.

21 DR. BRASS: Eric Brass, Harbor UCL A
22 Medical Center, Nonprescription Drugs.

23 DR. LOVELL: Dan Lovell, pediatri c
24 rheumatologist, University of Cincinnati, member o f
25 the Arthritis Advisory Committee.

1 DR. BLEWITT: George Blewitt, industr y
2 representative to the Nonprescription Drugs Advisory
3 Committee.

4 DR. LITOVITZ: Toby Litovitz, Executiv e
5 Director of the American Association of Poison Contro l
6 Centers.

7 CHAIRMAN D'AGOSTINO: Thank you. No w
8 we'll have the meeting statement read by Dr. Andre a
9 Neal.

10 DR. NEAL: Good morning. Before I read
11 the statement, I'd just like to repeat that th e
12 meeting that had been schedule d for tomorrow with the
13 Pulmonary, Allergy Drugs Advisory Committee wa s
14 canceled.

15 I would also like to announce that ou r
16 consumer representative, Kathleen Hamilton, had a
17 death in the family, and as such, is not here today.

18 The following announcement addresse s
19 conflict of interest issues associated with thi s
20 meeting and is made a part of the record to preclude
21 even the appearance of a conflict.

22 Based on the submitted agenda an d
23 information provided by the participants, the agency
24 has determined that all reported interests in firm s
25 regulated by the Center for Drug Evaluation an d

1 Research present no potential for a conflict o f
2 interest at this meeting, with the followin g
3 exceptions.

4 In accordance with 18 U.S. Code 208(b)(3) ,
5 full waivers have been granted to Mary Anne Koda -
6 Kimble, Pharm.D., and Ralph D' Agostino, Ph.D. A copy
7 of these waiver statements may be obtained b y
8 submitting a written request to the agency's Freedom
9 of Information Office, Room 12A-30 of the Parklaw n
10 Building.

11 With respect to FDA's invited guests ,
12 there are reported interests which we believe should
13 be made public to allow the participants t o
14 objectively evaluate their comments.

15 Dr. Toby Litovitz would like to disclose
16 that, as the Executive Director of the America n
17 Association of Poison Control Centers, she is involve d
18 in TESS status searches and sales to nearly all th e
19 firms which manufacture over-the-counter analgesic an d
20 antipyretic drug products.

21 Dr. Litovitz is also the principa l
22 investigator for a funded post -marketing surveillance
23 project for Nicotrol, a product of McNeil Consume r
24 Products Company. Further, in 1997 Dr. Litovit z
25 served on a pediatric analgesic/antipyreti c

1 consultants board for McNeil Consumer Product s
2 Company. She attended a one-day meeting for which she
3 received an honorarium.

4 Cheston Berlin, Jr., M.D., would like to
5 disclose that he consulted one time for Ascen t
6 Pharmaceuticals, and he is a member of Pfizer' s
7 Speakers Bureau. Further, he is a member of th e
8 Pharmacy and Therapeutics Committee for Rite-Ai d
9 Corporation.

10 In the event that the discussi ons involve
11 any other products or firms no t already on the agenda
12 for which an FDA participant has a financial interest ,
13 the participants are aware of the need to exclud e
14 themselves from such involveme nt, and their exclusion
15 will be noted for the record.

16 With respect to all other participants, w e
17 ask, in the interest of fairness, that they addres s
18 any current or previous financial involvement with an y
19 firm whose product they may wish to comment upon.

20 Thanks.

21 CHAIRMAN D'AGOSTINO: Thank you. No w
22 we'll have opening comments by Dr. Michael Weintraub.

23 DR. WEINTRAUB: Thank you, Ralph.

24 I just wanted to say how much the FD A
25 appreciates your coming for on e day, and we know that

1 the cancellation of tomorrow's meeting did raise some
2 -- make your lives a little more complicated, but we
3 appreciate your coming here for the one day, and thank
4 you very much. Good morning.

5 CHAIRMAN D'AGOSTINO: You're not going to
6 give us insight into the -- Then why don't we move
7 right to the FDA presentations, and get all the
8 insight from them.

9 We have three speakers. Hopefully,
10 everyone has the agenda in front of them. We'll start
11 off with Debbie Lumpkins.

12 MS. LUMPKINS: Good morning. I've been
13 asked to give you some background information on the
14 agency's regulation of OTC pediatric
15 analgesic/antipyretic drug products.

16 Prior to the initiation of the OTC drug
17 review on May 11, 1972, the agency made a number of
18 interpretive statements concerning warnings and
19 cautionary information for drugs and devices offered
20 for OTC sale.

21 Included among these statements were the
22 above cautionary information that recommended three
23 years of age as the minimum age for administration of
24 OTC salicylate containing products without a
25 physician's guidance. Manufacturers were given the

1 option of using one or the other of these recommended
2 statements.

3 For OTC products containing acetaminophen ,
4 the agency required the following warning against the
5 use of acetaminophen in children under three years of
6 age. Both required -- Both the recommended cautionary
7 statement and required warning are currently included
8 in Title 21, Section 369 of the Code of Federal
9 Regulations.

10 As part of the agency's OTC drug review,
11 the advisory review panel for OTC, Analgesic and
12 Antirheumatic Drug Products or the Internal Analgesic
13 Panel developed pediatric dosing schedules for
14 products containing acetaminophen, aspirin, carb
15 aspirin calcium, choline salicylate, magnesium
16 salicylate, and sodium salicylate.

17 The pediatric dosing schedules recommended
18 by the panel shared the following common
19 characteristics. Dosing was based on age. The
20 minimum age for dosing was two years of age. The
21 adult dosing started at 12 years and above. Dosing
22 was to be done every four hours, and there was a
23 maximum of five doses daily.

24 The age range of the pediatric dosing
25 reflected the panel's adoption of the recommendations

1 of the Advisory Review Panel on OTC, Cough, Cold ,
2 Allergy, Bronchodilator and Antiasthmatic Dru g
3 Products.

4 The panel recommended OTC pediatric dosin g
5 down to two years of age, and that adults be defined
6 as 12 years of age and older. The panel's recommende d
7 pediatric dosing schedules wer e adopted by the agency
8 and included in its proposed rule for OTC interna l
9 analgesic and antipyretic drug products published in
10 the Federal Register of November 16, 1988.

11 Prior to the publication of the proposed
12 rule, the agency published a N otice of Intent on June
13 20, 1988. In that Notice, the agency indicated that
14 it was considering proposing a rule concerning dosing
15 information in the labeling of OTC drug products for
16 children over 12 years of age, and solicited th e
17 submission of comments and information relating t o
18 this issue.

19 In response to the agency's Notice o f
20 Intent, a number of suggestions were received tha t
21 included several different approaches to the across-
22 the-board pediatric labeling.

23 On January 13, 1995, the agency sought th e
24 advice and recommendations of its Nonprescriptio n
25 Drugs Advisory Panel on several issues relating t o

1 pediatric labeling. Among the issues that the agency
2 sought the committee's advice on were: What is the
3 preferred basis for determining OTC systemic pediatric
4 dosage and labeling; examples, age, weight, height or
5 length, body surface area or combination of these ?
6 What is the minimum age or weight that should appear
7 on OTC product labeling, and should this minimum age
8 be different for certain classes of drugs, such as
9 internal analgesics or antihistamine?

10 The committee provided the following
11 advice. Weight should be the preferred basis for
12 dosing, if it is known, but age ranges should also be
13 given for those where weight is not known. Minimum
14 age should be determined on a case by case basis.

15 Currently available on the OTC market are
16 a large number of analgesic/antipyretic drug products
17 in an array of dosage forms that include chewable
18 tablets, suspensions, drops, and suppositories .
19 Today's meeting will focus on the pediatric use of
20 some of these products, specifically addressing infant
21 drops that are approximately twice as concentrated as
22 children's suspension.

23 The labeling samples provided to you this
24 morning will illustrate the critical issues at hand
25 for today's discussion. These are:

1 Given the safety implications discusse d
2 for OTC analgesic/antipyretic suspension and doubl e
3 concentrated drops, should labeling for overlappin g
4 ages be permitted?

5 What labeling information, mod ifications,
6 do you suggest to prevent unintentional overdose?

7 C a n s i n g l e i n g r e d i e n t
8 analgesic/antipyretic suspension products be safel y
9 and effectively labeled for us e in children less than
10 two years of age; and if so, what is an appropriat e
11 lower age limit?

12 Also, are there any other labelin g
13 recommendations that you have?

14 That brings us up to what we need to d o
15 today. Thank you very much.

16 CHAIRMAN D'AGOSTINO: Thank you, Debbie.
17 Now we're going to hear from Dr. Dennis Bashaw.

18 DR. BASHAW: Good morning. I'd like t o
19 thank the Committee for inviting me to speak today .
20 I've been asked to speak on the overview of th e
21 pharmacokinetics of OTC analgesic/antipyretics ,
22 primarily focusing on ibuprofen, acetaminophen and, t o
23 some extent, for historical basis, aspirin.

24 You've been provided in your package a
25 two-page overview which I gave to you, and also a

1 couple of articles which I thought were the best from
2 the published literature.

3 What we're faced with is mostly drugs not
4 really having a good database of published information
5 in terms of the pharmacokinetics. Given the hour of
6 the morning, I won't go into any models or derivations
7 of that. I promise you that. So the next overhead,
8 please.

9 Just, you know, to follow on Debbie's
10 background, you know, the age we're focusing on here
11 today, just for a quick overview -- I'm sure we're all
12 very familiar with them, if not from personal
13 experience, from our practices.

14 Aspirin, of course, is the oldest agent in
15 this class, being developed and used in Europe
16 sometime around the late 1890s, early 1900s. Of
17 course, in this country its use in the pediatric
18 population has certainly declined from what it once
19 was, due to the association with Reyes Syndrome.

20 Acetaminophen is today the primary OTC
21 analgesic/antipyretic used in the pediatric
22 population. One of the complicating factors was,
23 whenever you talk about any of these products, but
24 especially with acetaminophen or even ibuprofen is the
25 multitude of dosage forms that are available.

1 Just looking through the nonprescription
2 PDR the other day, I think there was like three pages
3 of acetaminophen dosage forms and products that were
4 available. A lot of times you look at different
5 manufacturers and you look at delivery systems,
6 suspensions, suppositories, etcetera, whenever we talk
7 about the pharmacokinetics. It's both the kinetics
8 that are inherent in drug itself and delivery system.

9 Even though the OTC marketplace is
10 primarily immediate reliefs, there is variability
11 between the dosage forms, and that's always a
12 complicating factor whenever we're talking about these
13 issues.

14 Also, finally, ibuprofen is probably the
15 drug -- You know, it's clear from marketing the OTC in
16 the 1990s -- is the one we have primarily the best
17 database, primarily because aspirin and acetaminophen,
18 because of their time of development, obviously,
19 preceded the FDA, preceded New Drug Applications, but
20 ibuprofen was developed at the time originally for
21 prescription marketing where we do have prescription
22 database, where there were publications, and there is
23 published data that we can refer to, to some extent.

24 Next, please.

25 When we start talking about these issues,

1 one of the issues I was asked to address was age -
2 related pediatrics and pharmacokinetic changes i n
3 these groups. How well can we extrapolate from th e
4 adult data to children? Is that possible? What i s
5 even extrapolation among pediatrics, among the ag e
6 range we're talking about here?

7 You know, there's some use in the ver y
8 young, less than a year, and the OTC labeling ha s
9 certain indications also for what is considered OT C
10 use, looking at what can we say from the data from it .

11 Next, please.

12 This is not intended to be read here, jus t
13 to give an overview. This is a table from th e
14 pharmacokinetic basis of drug treatment, which really
15 summarizes some of the physiologic changes that happe n
16 during maturation.

17 You can see there, we have changes, o f
18 course, in absorption, distribution, metabolism ,
19 elimination, that really vary throughout th e
20 maturation process. You can s ee the differences from
21 premature, newborn, one-year-old. A number o f
22 physiologic changes happen in this population, suc h
23 that there's always a question of how well can yo u
24 extrapolate, how low can you go.

25 It really -- This overhead is jus t

1 intended to bring, of course -- remind the committee
2 and bring to the attention of the group the wide
3 variety of things and factors that can happen and
4 always must be considered.

5 We primarily have been focusing, looking
6 at altered metabolism, not so much for the absorption
7 because most of these things are formulated fairly
8 well, so they are immediate reliefs and do reliefs
9 very quickly; but we are very concerned with
10 metabolism, and especially with acetaminophen because
11 of its known hepatic toxicity, the metabolic route of
12 it and how it goes and to what degree -- There has
13 always been speculation as to safety valve in the very
14 young child of sulfation, how well that really works.
15 Is it really quantifiable, and to what extent?

16 Next overhead, please.

17 Now that's just a summary. When we're
18 talking about metabolism, we usually get focused on
19 what we call cytochrome P-450 and P-450 metabolism.
20 This overhead is just to give perspective, but there
21 is certainly many, many different mechanisms of drug
22 metabolism, and we really, to some degree, know some
23 information about maturation in some of these enzyme
24 systems; others, we don't.

25 Some children are born with fully intact

1 systems. Some take a couple of years to mature. Some
2 don't mature or go under changes in puberty. So
3 metabolic status is a concern we have, and it's not
4 just limited to cytochrome P-450 but really covers the
5 whole gamut of possible metabolism routes.

6 Next overhead, please.

7 The problems we have, when one goes back
8 to look at the data, is again the fact that there's
9 really -- you look at it from drug related and
10 population related issues, that again these are old
11 products. They were developed prior to
12 pharmacokinetics as a science, which was really
13 probably, depending on which author you want to
14 quote, sometime in the mid-seventies, '75 or '77.

15 There is data for some of the newer
16 products like ibuprofen in NDAs, but a lot of that
17 data has never been published. It's been kept in the
18 files. So its availability out there to the
19 prescriber and to the public and to those who need to
20 know has been somewhat restricted.

21 Also, there's always a question of doing
22 these studies in these populations. Doing studies in
23 children always raises a whole number of ethical
24 issues, you know, disease state, informed consent,
25 blood volumes, a whole gamut of issues that are always

1 raised when one starts trying to do pharmacokinetic
2 studies in the pediatric population.

3 One thing, I think, that we do have a
4 ability, and I think we'll focus on today, is when
5 we're talking analgesic/antipyretics, the information
6 I'll be showing today is primarily related to the
7 antipyretic use of these agents, which again, from a
8 pharmacokinetic standpoint, probably represents the
9 more severe cases; because as one is, certainly, aware
10 of, there are temperature/fever related changes in
11 drug metabolism such that the use of these drugs in
12 the child with fever probably represents a stressed
13 system, which is probably more relevant to look at.

14 We're going to be presenting some data
15 here, both from the published literature and also some
16 mean data from NDAs. We really could not -- because
17 of the nature of it and the open public forum, could
18 not provide the raw data, individual subject data; but
19 we will provide some NDA data in a mean format to
20 provide some background to give some context to it.

21 To anticipate one of the group's
22 questions, because once you see the database there
23 will be questions of why there weren't some
24 correlations made, why there weren't some additional
25 analysis done -- To anticipate that question, the

1 primary reason was that the studies we're going to be
2 talking about were not intended to look at the effect
3 of age.

4 They were done for formulation
5 development. They were done with different sampling
6 techniques and different sampling objectives, such
7 that, although we were able to calculate simple
8 pharmacokinetic parameters, area, clearance, etcetera,
9 trying to make detailed analysis and do trend analysis
10 -- you know, trying to rank them from two years to 30
11 or two years to 18, those kinds of comparisons,
12 because they're from different studies that were never
13 intended to be combined -- they have different
14 sampling objectives, and different techniques were
15 employed -- it made the combination a little bit
16 inappropriate.

17 So you may look at this and say, gee, you
18 could have done more. In a way, yes, but in a way, we
19 also felt it would be misleading. So we're just going
20 to present the data as we have it, and try to take you
21 through it.

22 The next overhead, please.

23 The suspension really doesn't belong on
24 here. Some of the data does come from suspension, but
25 it should be ibuprofen liquid dosage forms. I didn't

1 catch that until this morning.

2 What we have here is a table of some
3 comparative pharmacokinetic parameters. The Brown
4 article is one of the two articles that was provided
5 to you in your package, which provided some of the
6 best published pharmacokinetic information on
7 ibuprofen.

8 As you can see, he had groups of 44 and 49
9 subjects ranging from three months to 12 years, at
10 both 5 and 10mg per kilogram rates, and we have for
11 Cmax, Tmax, clearance, and half-life values.

12 There's a smaller article from Nahata
13 which I did not provide to you, because of the small
14 size. I didn't want to overburden it. We also have
15 data from two different NDAs, looking at the
16 pharmacokinetics in children and also one study in
17 adults.

18 You can see, there is some comparison in
19 terms of you look at, you know, time of absorption,
20 you look at what peak levels you get. There is some
21 similarity, but also some significant differences.

22 It's interesting to note that on a 5mg per
23 kilogram basis there's certainly increased levels in
24 adults. Now whether or not that's due to the
25 clearance or suspected volume change is really

1 unknown.

2 If we could have the next overhead, I
3 think we'll see it a little bit more graphically.

4 The solid lines here represent NDA data
5 which -- these are the mean curves from those studies.
6 The individual block points there are data from the
7 articles which we did not have to access to the raw
8 data. We had to interpolate them. So I tried to put
9 them as points so they're not quite so heavily
10 weighted.

11 You can see there that, looking at the
12 comparison for 5 mg per kilogram, certainly, in the
13 pediatric data, which is the blue line and the orange
14 blocks, there is some similarity, and there's also
15 quite a bit of difference; but there's also quite a
16 large population associated with the blue line, and a
17 much smaller population -- I think nine subjects --
18 with the orange.

19 So there's some similarity but some
20 difference there. Clearly, when you get to adults and
21 you look at what their dosing was, they only got 3mg
22 per kilogram, but they were very similar to
23 pediatrics.

24 In fact, if you were to do some simulation
25 work, which I didn't want to put on here because it's

1 a little bit more detailed than I wanted to get into
2 this morning, adults would have significantly higher
3 levels on a 5mg per kilogram basis, again pointing to
4 there being some kind of age-related change with
5 ibuprofen.

6 Whether or not -- Where exactly this
7 occurs -- Is it a gradual change or something that
8 occurs at puberty or what? -- is really unclear right
9 now. Again, the data was not -- This data was not
10 collected for that type of analysis. So we didn't
11 pursue it very far.

12 Basically, it shows that for ibuprofen
13 there is some difference, although exactly what the
14 nature of it is, we're not quite sure. There is some
15 difference between adults and children in their
16 pharmacokinetics.

17 It doesn't appear to be a clearance
18 mechanism. I really, personally believe -- I could go
19 into it, but I don't want to right now -- that it's
20 probably more related to volume changes.

21 Next overhead.

22 Turning now to acetaminophen, again using
23 the Brown data we see we have data from four different
24 -- two different studies and two NDAs here, where we
25 have pediatric data from three years -- three months

1 to 12 years, two years to seven years, two years to 1 1
2 years, and then some comparative data from adults from
3 18 to 50.

4 All these doses, with the exception of
5 Wilson are approximately 12mg per kilogram. On e
6 that's rather interesting here is the fact that w e
7 have good agreement again in peak levels an d
8 systemics, also in half-life.

9 I think this is more visible a gain, if we
10 look at the next overhead with the graphics. It' s
11 very clear that, when you look at, again, the mea n
12 adult data -- I'm sorry, the line above is sort o f
13 bluish, but the top line is actually cyan, and th e
14 next line is dark blue.

15 There's a comparison of the adult an d
16 pediatric data. There is quite a bit of correlation
17 there for very similar doses, suggesting that between
18 children and adults with aceta minophen that degree of
19 exposure is directly related t o body weight, and that
20 a 5mg per kilogram dose or whichever, 10mg, 12mg ,
21 would give apportional levels between children an d
22 adults.

23 Again, no differences were seen i n
24 clearance, and even the other data, if you were t o
25 take the -- I know it's hard to distinguish, and I

1 don't have a pointer here, but if you'll bear with me
2 while I walk away from the mike for a second -- If one
3 was to take the 10mg per kilogram data here and one
4 was to scale it up to approximate the dose, it would
5 start to approach the other lines --

6 CHAIRMAN D'AGOSTINO: Would you just
7 repeat that so that the transcriber can --

8 DR. BASHAW: What I was indicating to the
9 committee was that there is data on this graph which
10 represents a lower dose, 10mg per kilogram, from some
11 of the published articles. If one was to scale that
12 information, the mean data would certainly come up and
13 not quite reach the same levels that were seen with
14 the other two treatments, but would certainly be very
15 close to it, not bioequivalent, by any standpoint, but
16 certainly would be within the range.

17 Considering that we have quite a bit of
18 variability all around these mean lines, there really
19 seems to be quite a bit of agreement between the
20 pediatric and adult data from acetaminophen.

21 Next, please.

22 Quite frankly, for aspirin there is not
23 any comparable data. In looking through the
24 literature, the best article that I could really find
25 -- it was on a bias again with the ones provided to

1 you in your package, which really was not a detailed
2 treatment of aspirin pharmacokinetics in children, but
3 in fact looking at the literature there really is not,
4 and going through the NDA database, again because of
5 the age of aspirin, the fact that there hasn't been a
6 lot of new aspirin NDA products that have come out
7 recently -- in fact, there's not been any -- there is
8 not good data we have on the aspirin pharmacokinetics
9 in these populations.

10 Clearly, we do have adult aspirin data.
11 I mean, there are pharmacokinetic data, but in terms
12 of being able to make some comparative relationships,
13 as we have with ibuprofen and have suggested with
14 acetaminophen, that really is not possible from the
15 database that we have access to.

16 Next overhead, please.

17 Finally, I guess it's -- You know, trying
18 to summarize what we do know about aspirin and the
19 other analgesics is that, aspirin again, there's
20 minimal pharmacokinetic data in adults, and we have no
21 useful pharmacokinetic data in pediatric populations.

22 When I mean useful, there I'm talking
23 about large studies with good calculation of
24 parameters. There are certainly a few articles here
25 and there and a couple of case reports, but one is

1 looking for a study on the order of what the Brown
2 study was with 44 patients or even the Nahata stud y
3 which had a total of 17 patients. There really is no t
4 that kind of published information out there that' s
5 readily available.

6 In terms of acetaminophen, there i s
7 published data and pharmacokinetics that we'v e
8 provided to you. There is some evidence, both fro m
9 the OTC and NDA database that suggests that there are
10 stable pharmacokinetics across the age, and that it is
11 really the body weight.

12 The metabolic differences in infants: Yo u
13 know, in trying to look back a t that issue about what
14 degree sulfation really plays a role, there really is
15 not any hard numbers on that. A lot of that come s
16 from a 1984 article by Dr. Rumack looking at th e
17 outcome from overdosing where there was a suggestion,
18 and he speculated that it seemed that younge r
19 children, you know, fared better.

20 The assumption -- Later investigator s
21 followed up and suggested that sulfation provided a so
22 called safety valve in the cas e of overdose, that the
23 children were able to metabolize it through a
24 sulfation mechanism that adults somehow lost a t
25 puberty, most likely.

1 It probably is that, to some degree. How
2 quantifiable is it is really unknown. It's not, I
3 think, a very extensive safety valve, if one wants to
4 call it that. It does exist, but it's really never
5 been quantified, and it would be very difficult to
6 quantify it.

7 Ibuprofen: There is a considerable amount
8 of published data, and there is comparative data from
9 the NDA database that suggests age related changes in
10 volume. Like I say, a lot of how this information was
11 gained was not so much in the sponsors and the NDA
12 people working on the immediate release dosage forms,
13 but as they were working on different potential
14 controlled release formulations, they used the
15 immediate release's reference products. That's how we
16 gathered most of this information.

17 Because of the fact that the material is
18 proprietary information, we've had to be a little bit
19 careful of how we expressed it today in presenting
20 just mean data and just mean curves. Honestly, there
21 is more data that stands behind it, but because of the
22 nature and this being an open public meeting, I really
23 was not able to get into the exact database and what
24 the results really looked like on a variability basis.

25 That concludes my presentation.

1 CHAIRMAN D'AGOSTINO: Thank you. An y
2 questions? Then why don't we move on to the thir d
3 speaker. Roger.

4 DR. GOETSCH: Good morning. My name i s
5 Roger Goetsch. I'm with the Division o f
6 Pharmacovigilance and Epidemiology. We'll get ou r
7 slides going here.

8 Okay. Today I'd like to -- I' ve got like
9 half an hour, which is great, to talk to you abou t
10 post-marketing safety of the three products we'v e
11 talked about. Usually, what I'm going to use is FDA' s
12 spontaneous reporting system data that we collecte d
13 back from 1969 up to the cutof f date of August 5th of
14 '97.

15 Just to give you an idea of what I'm goin g
16 to talk about today is to let you know what th e
17 limitations of the SRS is, a little bit of the caveat s
18 that would go with the presentation. Then I will tal k
19 about children, acetaminophen overdoses, ibuprofe n
20 overdoses, aspirin overdoses, and then try to sum it
21 up with a brief conclusion of what we can make wit h
22 this observational data and kind of what we can't mak e
23 any conclusions.

24 The limitations of the spontaneou s
25 reporting system is the fact it is a spontaneou s

1 reporting system. It was started in 1969 and ha s
2 presently gone on with multiple changes in th e
3 database.

4 There's a vast under-reporting, as we'll
5 talk about a little bit later, and we'll also see tha t
6 reporting is usually decreased with marketing age .
7 This is very important as we're talking about drug s
8 that have been out there for many years.

9 Reporting usually -- The limitations o f
10 the causality is sometimes very hard to determine .
11 Variability, incomplete reporting is also a facto r
12 that we have to address. The spontaneous reportin g
13 system's main function, and how we use it in FDA, is
14 a signaling, mainly for new chemical entities. S o
15 we're kind of using this in a little different light.

16 One of the things I do want to talk about
17 a little bit is marketing age, when we're talkin g
18 about decreasing over marketing time. You have t o
19 realize with acetaminophen, in 1952 that was when it
20 was the first prescription elixir.

21 There's probably 200 products now out on
22 the market that has acetaminophen. What we'll fin d
23 out in the spontaneous reporti ng system: Most of our
24 reports were seen in 1977. Ibuprofen was a
25 prescription in 1972. In '84 it was okayed by the FD A

1 for an OTC in the adult population, and in '89 th e
2 prescription for children was approved, and jus t
3 recently in 1995 the OTC children product wa s
4 approved.

5 We saw most of our adverse events wit h
6 ibuprofen in the last two years, '96 and '97 .
7 Aspirin, as Dennis alluded, has been out there fo r
8 many, many years, and it's going to be very hard t o
9 make any correlation with aspirin.

10 The caveats: What we looked at, looking
11 at the spontaneous reporting system: We onl y
12 addressed single ingredients, and we addressed anytim e
13 that that single ingredient was seen as a suspec t
14 drug, whether it was an over-the-counter preparation
15 or whether it was Rx preparation.

16 We kind of divided it up into thre e
17 children's groups, 0-2, 3-6, 7 -12, because we realize
18 that children are not all the same.

19 A couple of factors that we really have t o
20 look at with looking at this data is in 1972 one o f
21 the main things that stopped overdose, medicatio n
22 errors, was the child resistant packaging. Also, as
23 Dennis alluded, with aspirin Reye's Syndrome, pretty
24 much acetaminophen replaced as pirin in the children's
25 use.

1 Also to bring to your attention o n
2 acetaminophen, one of the sponsors had received a
3 waiver in 1987 that all they had to do was repor t
4 fatal overdoses, and that's im portant to look at when
5 you're looking at the numbers.

6 The FDA or the agency started looking at
7 medication errors within the SRS in 1993, and w e
8 collected data from that. So we're going to try and
9 combine both medication errors and overdoses to give
10 you a good picture.

11 The last and probably the most important
12 thing: Even though I'm going to be talking abou t
13 these three products, you real ly cannot compare them.
14 You cannot compare them in safety. We're just using
15 these as example, and try not to make som e
16 comparisons.

17 The definition of overdose we' re going to
18 use today is the accidental ov erdose where they self-
19 ingested more of that product or the medication error
20 where the dosing error was caused by a therapeuti c
21 usage but was given more than was recommended.

22 Acetaminophen in children made up abou t
23 nine percent of the total repo rts that we have in the
24 SRS for acetaminophen. Where it says two years, that
25 should be -- Anytime you see the two years will b e

1 equal or less than two years.

2 So from this, you can see that we've had
3 probably 23 percent fatalities in children with 11 3
4 reports in the less than two, and of those 30 of them
5 were fatal. You can see in 3-6, 118, 16 and 66. We
6 saw, as they got older -- in the 7-12 year-old, we sa w
7 that those were almost suicidal attempts. So that wa s
8 a little bit different.

9 Acetaminophen overdoses: We had 94 o f
10 them, which made up 32 percent of the total children
11 acetaminophen reports. These are the top ten adverse
12 events we used to put them int o the database. We had
13 37 percent fatality.

14 As you can see, the prominent event that
15 you'll see is pretty much the liver toxicity, th e
16 hepatotoxicity that we've mentioned earlier.

17 We looked at serious overdoses, which wer e
18 53 reports, and broke them down into whether they wer e
19 medication errors, accidental errors, or we couldn't
20 make any determination. As you can see, with the les s
21 than two years old and the 3-6, most of those wer e
22 medication errors. As I said before, that's usually
23 where they were taking it for a therapeutic use, but
24 for some reason or other, they were overdosed or give n
25 too much.

1 We looked at specific or selected reports
2 that had complete data regarding dose, weight and
3 duration. These are some examples. This will show
4 you what we're dealing with. It's a very small
5 selected group, and you have to realize, this is
6 observational data only.

7 You can see, the HF is hepatic failure .
8 The D is death. The ARF is acute renal failure. The
9 most outstanding part is the formulation, that these
10 small children, seven months old, there years and up
11 to six-year-old, are given adult dosage forms.

12 This is probably -- If I bring any point
13 home, this is the kind of point that I want to make
14 sure that everybody understands. All of these had a
15 dose greater than 150mg per kilogram body weight.

16 You can see the duration range was between
17 one day and seven months. So this is something that
18 happens fairly rapid, and is of a great safety
19 concern.

20 Just to give you an example -- and this is
21 not to scare you or anything else, but this is one of
22 our better examples of a 14-month-old that was
23 admitted with signs and symptoms of hepatic
24 insufficiency after ingesting the suspension drops of
25 acetaminophen, just for over a period of 48 hours for

1 a viral infection.

2 Unfortunately, her liver disease was so
3 bad that she had to have a transplant and, luckily ,
4 this young person recovered, just to give you kind of
5 an impact of what we're looking at.

6 Now switching over to ibuprofen, we looked
7 at the children's reports, which was 1218, which made
8 up about 10 percent of all the ibuprofen reports that
9 we have in the database. Again, the two-year-old is
10 less than equal to two.

11 We had a lot more reports, 522 reports ,
12 but we only had 11 deaths, which gives you a one
13 percent fatality within the children's group.

14 Looking again at the overdoses of
15 ibuprofen, there's 219 reports. This made up 18
16 percent of the total children reports that we had in
17 the SRS, a one percent fatality. Next to actually
18 being an accidental overdose, the most prominent
19 adverse event was metabolic acidosis, was your renal
20 involvement.

21 We also looked at the serious overdose
22 reports, which we had 26, which was 12 percent of all
23 the children overdoses. You can see, it was a little
24 bit different. It was very few medication errors, and
25 most of them were accidental, self-administered

1 ingestions, and we had 21 reports in the less than
2 two-year-old.

3 To give you an example again of what we're
4 talking about, we had a seven-month-old male that had
5 a persistent cough for three days, went to the
6 physician. He recommended the three to four
7 teaspoonsful of children's Motrin to be given every
8 six hours for four days.

9 The doctor, thinking he was going to give
10 44mg per kilogram per day, the patient went out and
11 bought the oral drops which produced twice the
12 concentration that was intended. The patient
13 experienced what you would expect. They called the
14 physician. He then lowered the dose, and we had a
15 good outcome of this, but this is kind of the example
16 that we want to bring across where you have two
17 products out there that have two different
18 concentrations.

19 Now we'll talk about aspirin, just very
20 adverse events for it. We had 75 children adverse
21 events, which made up 3 percent of all reports that we
22 have in the database. We had one percent fatality,
23 less than two-year-old. We had 22 reports, but we had
24 very few reports to make any kind of comparison or any
25 kind of determination on aspirin.

1 Aspirin overdoses: We only have five in
2 the system, and they were accidental overdoses where
3 they self-administered, ingested too much. Thi s
4 accounted for about six percent of the childre n
5 reports.

6 To give you an idea just what happens wit h
7 aspirin toxicity, we had an 11-year-old female tha t
8 was administered aspirin, adult dose, 325 every si x
9 hours for rheumatic heart disease, and she wa s
10 hospitalized with overdose. They treated, and sh e
11 recovered. We really don't have a lot of data o n
12 aspirin.

13 So conclusions: What does thi s all mean?
14 What can we do? The only thin g that we can tell from
15 this observational data is that acetaminophen i s
16 related, associated with hepatotoxicity when given tw o
17 to three times the normal dose.

18 We're seeing it mainly when it's used in
19 a therapeutic treatment, actua lly, for fever or viral
20 infection, and we're finding that there's a proble m
21 with formulation, that these small children ar e
22 receiving adult formulation or are receiving a
23 formulation that wasn't intended.

24 Ibuprofen: We see that it has a
25 nephrotoxicity, a metabolic ac idosis, and most of the

1 accidental ingestions are from the suspension given
2 instead of the drops. We find out that these patients
3 recover very well, whereas the acetaminophens have more
4 problem.

5 Aspirin: With Reye's Syndrome, pretty
6 much replaced by Tylenol, and all we saw was
7 accidental ingestion.

8 Thank you very much.

9 CHAIRMAN D'AGOSTINO: Thank you. Are
10 there questions? I want to thank the FDA for the fine
11 background material and the presentations. Are there
12 any questions?

13 DR. BERLIN: Yes, I have a question,
14 please. Berlin, Hershey.

15 Seventeen children died from ibuprofen,
16 but you didn't mention what they died from.

17 DR. GOETSCH: Oh. They died from hepatic
18 failure.

19 CHAIRMAN D'AGOSTINO: Could you speak into
20 the mike?

21 DR. BERLIN: Hepatic failure?

22 DR. GOETSCH: Yes.

23 DR. BERLIN: In ibuprofen?

24 DR. GOETSCH: No, metabolic acidosis.

25 Excuse me.

1 DR. BERLIN: Could you be a bit mor e
2 precise about how they died?

3 DR. GOETSCH: Yes. It was definitel y
4 acute renal failure brought on by metabolic acidosis.

5 DR. BERLIN: Thank you.

6 CHAIRMAN D'AGOSTINO: Any othe r comments?
7 Yes?

8 DR. KODA-KIMBLE: I wonder if you coul d
9 address the observation that i n ibuprofen most of the
10 deaths or serious events were related to accidenta l
11 over-ingestion versus medication errors in th e
12 acetaminophen group. I mean i t seems to me that both
13 have pediatric doses, and both have adult doses. Is
14 it just because ibuprofen seem s to be less toxic, and
15 you have fewer reports?

16 DR. GOETSCH: I think both of those are a n
17 issue. I think the less toxic, we've seen that ,
18 because most of the cases we s aw recovered after they
19 were rehydrated, and the nephr ototoxicity was reversed.

20 We also saw that what was happ ening, too,
21 was the formulation. You have to realize that the Rx
22 OTC for children has only been out for a couple o f
23 years. So a lot of them were ingestion of - -
24 accidental, where they took an open bottle of adul t
25 ibuprofen.

1 DR. KODA-KIMBLE: So you believe that it
2 was pretty clear to parents that ibuprofen was no t
3 indicated for kids, because parents are giving adult
4 acetaminophen to kids?

5 DR. GOETSCH: I didn't really -- I
6 couldn't make that correlation.

7 CHAIRMAN D'AGOSTINO: Can you hear tha t
8 all right?

9 DR. GOETSCH: I really couldn' t make that
10 correlation. We saw it more in acetaminophen wher e
11 the mother would start with th e drops, and they would
12 run out of the drops, and they would go ahead and giv e
13 adult tablets. Now with the ibuprofen, we reall y
14 didn't see that.

15 The most stunning thing we did see wa s
16 with the drops and the suspension interchanged o r
17 where they would give a chewable tablet and use a n
18 adult strength.

19 DR. WALSON: Phil Walson from the Ohi o
20 State University.

21 Did you look at all for two things :
22 Coingestants, including other antipyretics, and di d
23 you evaluate -- I'm sorry. Did you look at all fo r
24 coingestants, including other antipyretics or herbal
25 products, etcetera; and did you evaluate, when there

1 were data available, such as blood levels, th e
2 correlation between history an d objective measures of
3 dose?

4 DR. GOETSCH: Okay. We only looked a t
5 variance, and we didn't look at any herbal or anythin g
6 to make it more of a clean data. We did get som e
7 reports that had blood levels --

8 CHAIRMAN D'AGOSTINO: I don't think we'll
9 take anymore questions from the floor.

10 DR. GOETSCH: We did look at cases tha t
11 had blood levels, but as you k now, with acetaminophen
12 blood levels in the first 24 hours, 48 hours, really
13 doesn't tell you a whole lot. You would have to g o
14 out to the 72 hour, looking at toxicity.

15 For ADRs and adverse events, a lot of our
16 case reports came in from the consumer, came in from
17 the health professional, and basically had ver y
18 limited data. The only ones that we had clear dat a
19 were the fatalities.

20 CHAIRMAN D'AGOSTINO: Are there othe r
21 questions from the panel? Maybe when the industr y
22 makes its presentation, if there's some questions tha t
23 they would like to bring up, it would be useful a t
24 that time, but I'd rather keep the discussion to the
25 table right now. Are there other comments?

1 Then let's move on to the next speaker .
2 Dr. Toby Litovitz will make a presentation. Now she
3 has passed out material which, I believe, is in front
4 of us all. Is that correct?

5 DR. LITOVITZ: Could we have the light s
6 on? Thank you.

7 I'd like to begin by giving you som e
8 background on the test database. Many of you ar e
9 unfamiliar with it.

10 The database was piloted in 1983, and the n
11 implemented in 1984. I'd like to first turn to this
12 annual report for 1996 of the test database, and t o
13 look at Table 1 on page 448. You'll see that there's
14 been a rather dramatic growth in the size of thi s
15 database from about a quarter- million reports in 1983
16 to 2.1 million in 1996.

17 There are 75 poison centers currentl y
18 operational in the United States. Sixty-seven of them
19 participated in the test databases in 1996, covering
20 87 percent of the U.S. population.

21 There are -- Of the 75 U.S. poiso n
22 centers, 48 are certified poison centers, meaning the y
23 meet minimal national standards. They have 24 hou r
24 dedicated staff, and by dedicated I'm not referring t o
25 behavior, but rather to the fact that they are doing

1 poison center operations rather than filling scrips i n
2 a pharmacy and answering a pho ne in between or seeing
3 emergency department patients.

4 They are staffed by specialist in poison
5 information who become certified by sitting for a
6 national examination after a y ear's experience. They
7 have back-up by a board certified medica l
8 toxicologist, and they do follow-up on cases.

9 They don't just receive an initial phone
10 call and give a recommendation. They actually cal l
11 back to make sure that the patient did as expected an d
12 that the recommendations were followed and provid e
13 additional information, both to calls from the hom e
14 and from health care facilities.

15 There is comprehensive charting in these
16 facilities and data submission to the test data base,
17 with a medical record maintained in the poison contro l
18 center.

19 About half of the test participants used
20 this data collection form that you have in front o f
21 you, which is a paper form. I t has one side the data
22 section that can be bubbled with a high carbon marker ,
23 detached, and run through an optical scanner. Th e
24 other half of the test participants rely on a
25 computerized data collection system and submit their

1 data electronically, directly electronically.

2 Turning in the same report to Table 2 on
3 page 449, you can see the site of color, which in the
4 vast majority of exposures in this database is the
5 patient's own residence, and - - I'm sorry. That site
6 of exposure is most always the patient's own
7 residence, and you can see about 13 percent of cases
8 are actually called in from health care facilities.

9 Turning to Table 3, the age distribution
10 of the database overall shows that 53 percent of cases
11 occur in children under the age of six, but these
12 children are responsible only for four percent of the
13 fatalities in this database, and about 61 percent of
14 poisoning fatalities occurred in 20-49 year-olds ,
15 giving you the obvious idea that these are
16 predominantly intentional.

17 Turn to Table 5 on the next page. You'll
18 see that multiple substances are implicated in about
19 7.2 percent of cases. This database only collects
20 specific product information on the first two
21 substances implicated -- or implicated.

22 So we have the opportunity to analyze test
23 data both with concomitants and without concomitants,
24 depending on whether we're looking to see the broad
25 universe of exposures or to home in on the specific

1 toxic manifestations of a particular compound.

2 Table 6 shows that 85-86 percent of cases
3 are unintentional, but most adult deaths, 79 percent
4 of adult deaths, are intentional. You can see the
5 distribution of reasons for the exposure, and 123,000
6 cases in this database are therapeutic errors, which
7 is what we'll focus in on today.

8 the test definition of a therapeutic error
9 is slightly different from the definition the FDA is
10 using. We consider a therapeutic error an
11 unintentional deviation from a proper therapeutic
12 regimen that results in either the wrong dose, in
13 incorrect route of administration, administration to
14 the wrong person, or administration of the wrong
15 substance.

16 Drug interactions resulting from
17 unintentional administration of drugs or foods which
18 are known to interact are also included in this
19 database as therapeutic errors. There were an
20 additional 32,000 adverse reactions to drugs reported
21 here.

22 Table 9 from the annual report shows the
23 route of exposure. Multiple routes can be coded for
24 a given case, and most exposures are ingestions.

25 Table 10 shows the management site. Most

1 cases are managed at home or at the site of th e
2 exposure, about 74 percent of these cases. Th e
3 highest level of care rendered to a patient is coded
4 here.

5 So, for example, a patient seen in a
6 health care facility goes in through the emergenc y
7 department, admitted to the ICU, then transferred to
8 a medical floor, and then to the site facility, i s
9 coded as receiving critical ca re. That's the highest
10 level of care.

11 Medical outcome is shown in Ta ble 11. It
12 is the variations in the distribution of media l
13 outcome from product to product that are really key t o
14 our efforts to identify hazards. We have tw o
15 different kinds of medical outcomes. There ar e
16 definitive outcomes such as no effect, minor effect,
17 moderate effect, major effect, and death.

18 Then there are nondefinitive outcome s
19 where the patient was not followed, either because the
20 exposure was so nontoxic that the specialist handling
21 the call felt that no follow-up was required o r
22 because minimal toxic manifestations were expected.

23 There are also a smaller number of cases
24 that are not followed that are potentially toxic, and
25 these routinely are patients who refused follow-up ,

1 refused to give a phone number, so follow-up wasn't
2 possible, and a small number of cases coded as
3 unrelated outcomes as well where the symptoms were
4 deemed related to something pre-existing or some other
5 cause.

6 Now so that you can follow the rest of
7 this discussion, we need to define the terms minor,
8 moderate and major effects. The minor effects are
9 minimally bothersome. They generally resolve rapidly.
10 They leave no residual, and require minimal treatment.

11 At the other extreme, we have the major
12 effects. These are life threatening or have residual
13 disability. Examples would be repeated seizures or
14 status, respiratory compromise that requires
15 intubation, ventricular tachycardia that's accompanied
16 by hypertension, cardiac or respiratory arrest,
17 esophageal stricture or DIC.

18 The category in the middle, the moderate
19 outcomes, are those that are more systemic than the
20 minor effects but are not life threatening or showing
21 any residual manifestations that are persistent.
22 Examples here would be corneal abrasions or acid base
23 disturbances, high fever, disorientation, hypertension
24 that's rapidly responsive to treatment or an isolated
25 seizure.

1 Table 13 shows that we also capture the
2 duration of clinical effects for each case that's
3 coded as minor, moderate or major, and in Table 15
4 we'll see a focus on the tox-related interventions .
5 We only capture some therapies that are used, and they
6 tend to focus more on antidotes or things that are
7 specific to toxicology.

8 If you turn the page to page 460 now on
9 Table 21, and then look down to -- You are here now in
10 the middle of a summary of all the fatal exposure s
11 that were reported to this database. Just as an
12 example, I'm going to take you through a n
13 acetaminophen therapeutic error so that you can see
14 how to use this information.

15 It's case Number 180 on page 460. This is
16 an 11-year-old patient who dosed herself with
17 acetaminophen excessively for an ankle sprain, using
18 more than 300 mg per kilogram over 24 hours. In this
19 table, you can get the route of exposure, the reason
20 and, if available, the highest blood level that was
21 reported in the individual case.

22 If you turn to page 495, you can see a n
23 abstract of the case. Now this compendium does not
24 have abstracts for every single case that's listed in
25 every single fatality reported. We only abstracted

1 about 8-10 percent of the deaths. However, on pag e
2 495 at case Number 180, you can see the entir e
3 hospital course of this patient and get the idea o f
4 how this therapeutic error actually occurred.

5 Go back to page 485. Here we have a
6 listing of all of the pharmaceutical categories with
7 the number of exposures, the age distribution, th e
8 reason distribution, the use of health car e
9 facilities, and the outcome, where it's definitive.

10 Forty-two percent of this databas e
11 involves pharmaceuticals. If you look at the bottom
12 of page 495, you'll -- sorry, 485 -- you'll see fo r
13 acetaminophen-only products, you can see for, say ,
14 pediatric formulations that in 1996 there were 34,729
15 cases reported in this database.

16 Then you can see the breakdown int o
17 children by age, the reasons f or exposure, the health
18 care facility utilization, and the outcomes for this
19 case.

20 We've also listed acetaminophen i n
21 combination with other substances, in aspiri n
22 products, both alone as single ingredient and i n
23 combination with other substances.

24 If you go further down in the table ,
25 you'll see the same data for ibuprofen. Ibuprofe n

1 here is separated into OTC, Rx or unknown. If OTC or
2 Rx, these data are inaccurate for ibuprofen. You need
3 to lump them altogether. The OTC versus R x
4 designation is such a moving t arget, our database has
5 not been able to keep up with it.

6 Now if you turn now to this bl ue handout,
7 there's two points I want to m ake in here. The first
8 one is on page 9, the top table, Table 3. It show s
9 you a distribution of symptoms , and in this case it's
10 for calcium channel blockers, but the point here was
11 to show that there are about 120 different clinica l
12 manifestations captured in thi s database, and you can
13 characterize and profile an individual drug.

14 So, for example, you could compare th e
15 calcium channel blockers or the analgesics, and ge t
16 the symptom distribution, either by brand or b y
17 product category.

18 If you turn to Table 12 on page 14, you'l l
19 see that each case is actually listed in an outcom e
20 log. With the case, the clini cal effects are listed,
21 and they're coded, and the coding is -- As you look o n
22 this page, you'll see that for this exposure a C7,1 - -
23 C9,1, and this is our compact way of coding.

24 C7, which is hypotension, and the comma,1
25 means that the person who hand led the call thought it

1 was related to the exposure. C9, tachycardia, age 3,
2 increased bilirubin, etcetera. So you can go through
3 and get all of the clinical manifestations associated
4 with an individual case.

5 Now in the test database quality control
6 occurs at many levels. We have audits both nationally
7 and locally within the center. We have rejection of
8 cases with errors and total cases corrected and
9 resubmitted.

10 We have required minimum quality factors
11 for each poison center that submits data, and we have
12 a review of each fatality in this database by three
13 medical toxicologists before it is deemed to be
14 related to the substance that was implicated; but
15 despite our rather persistent efforts, we do offer an
16 unconditional guaranty with this data that the data
17 are not perfect.

18 Test data have been used to support a
19 number of regulatory actions. They were used to
20 support the change in labeling and packaging of iron.
21 They've been used in applications for OTC switches for
22 the NCH, the H2 blockers and nicotine patches.

23 CPSC uses these data to require child
24 resistant closures on dibucaine, lidocaine,
25 acetylnitrol containing products, and ethanol

1 mouthwashes, and EPA uses them when they re-register
2 or cancel the registration of pesticides.

3 Now let's use test data to help determine
4 whether pediatric analgesic/antipyretics should be
5 labeled for children under the age of two. If we
6 could turn to the first overhead, please.

7 This shows the number of exposures to
8 acetaminophen, ibuprofen, and aspirin. The number of
9 exposures roughly parallels the market share or
10 availability in the home and, therefore, the
11 accessibility to a child under the age of six. So the
12 large number of acetaminophen exposures would have
13 been predicted based on - because it reflects
14 acetaminophen's greater market share.

15 Now I've put cough and cold medications up
16 here, just as a comparison category, and I've sub -
17 selected this set of cough and cold medications that
18 do not contain analgesics/anti pyretics. So these are
19 just antihistamines, decongestants, and antitussives
20 that are not formulated with acetaminophen or aspirin
21 or ibuprofen.

22 The next row gives you the number of
23 therapeutic errors with each of these compounds. That
24 is followed by the percentage of all of the exposures
25 that are therapeutic errors. So you can see that

1 rate of about 14 percent for acetaminophen, 8. 8
2 percent for ibuprofen, and 2.6 percent for aspirin.

3 Aspirin data, I think, are somewhat
4 erroneous here. You really have to throw out this
5 therapeutic error rate, as most of the low dose
6 products are actually in use by adults. So it's hard
7 to make as a comparability issue.

8 I've taken also the therapeutic errors and
9 compared them as a ratio with the pediatric dose
10 equivalents in millions sold in 1996, and you'll see
11 that for acetaminophen it's 1.7, and for ibuprofen
12 1.05.

13 There were a total of nine therapeutic
14 errors in the '96 database related to acetaminophen or
15 ibuprofen. Again, all this data is in children under
16 the age of six. Nine of these therapeutic errors with
17 a moderate outcome, three of the therapeutic errors
18 had a major outcome. These were all acetaminophen
19 therapeutic errors.

20 The first is a 12-month-old who ingested
21 an unknown formulation in repeated exposures over 8-2 4
22 hours, had transaminases over 1,000, a prolonged PT,
23 was treated with IV NAC in an intensive care setting,
24 did not receive a transplant.

25 The second one, a 16-month-old ingested - -

1 Sorry, we don't know the route of exposure, whether
2 this was an ingestion or a rectal use of acetaminophen
3 suppositories, but we do know they were adult strength
4 suppositories; ended up with transaminases over 1,000,
5 a prolonged PT, abdominal pain, was given Plavix and
6 Vitamin K, and no transplant. Now this is all from
7 the database. There aren't the full abstracts of
8 these cases. So there's limited information
9 available.

10 The final major effect was a 17-month-old
11 with a chronic ingestion over more than three months,
12 had some LFT abnormality that was not a transaminase
13 abnormality, was not specified, was evaluated in an
14 emergency department only, and did not receive
15 treatment in the emergency department. So it's
16 unclear whether this is coded as a major effect,
17 because the problem was persistent over many months.

18 Okay. If you go to the next overhead,
19 please, this is a graph showing the percent of all
20 therapeutic errors occurring in children under six by
21 age. You can see this clear downward trend for
22 acetaminophen, for aspirin -- sorry, for
23 acetaminophen, for ibuprofen, and for the cough and
24 cold medications, the therapeutic errors occur more
25 frequently in children under the age of two.

1 So we're looking by age at the percentage
2 of therapeutic errors that occur in children under the
3 age of six, that occurred at that particular age
4 group. This is a pretty dramatic demonstration that
5 the majority of these therapeutic errors are occurring
6 in young children.

7 Now a couple of points deserve mention
8 here. The first regards the shape of the
9 acetaminophen curve compared to the ibuprofen curve.
10 You'll notice that they are relatively different in
11 the under one-year-olds. This is the blue curve
12 compared to the green curve.

13 I believe that this reflects the fact that
14 acetaminophen in 1996 had 99 percent of the drops
15 market, and since it's the drops that are primarily
16 used in very young children, there's a more pronounced
17 elevation of the acetaminophen curve in the under one-
18 year-old age group.

19 Now if you recall also the prior table
20 that showed that there was a higher therapeutic error
21 rate with acetaminophen, although acetaminophen
22 appeared to have a higher therapeutic error rate, this
23 likely reflects the fact that therapeutic errors occur
24 with greater frequency in children under the age of
25 two, and acetaminophen has disproportionately higher

1 usage in this age range.

2 Now some of you may be wondering why I put
3 Children's Advil on top of these otherwise generi c
4 categories. I selected the data because in 1996 i t
5 was not available in a drops formulation, and I wa s
6 trying to answer the question whether this proble m
7 that we're seeing here clearly in children under the
8 age of two was related to the drops formulation or wa s
9 related to the absence of labeling.

10 Since Children's Advil follows virtually
11 the same pattern as the other pediatri c
12 analgesic/antipyretic exposures, these data sugges t
13 that the drop formulation is not the major factor in
14 the increased number of therapeutic errors in ver y
15 young children.

16 That leaves us with sort of an absence of
17 other apparent factors, and the finger appears to be
18 left pointing at the absence of dose information o n
19 the package label as a likely major cause of th e
20 increased rate of therapeutic errors in young kids and
21 kids under the age of two.

22 Now why is the cough and cold graph there ?
23 It's interesting to speculate that the flattening of
24 the cough and cold curve may reflect the absence o f
25 labeling information on many of these products fo r

1 children under the age of six, rather than under the
2 age of two.

3 Let's focus on the major and moderate
4 outcomes in cases with therapeutic errors, and see if
5 there's an age pattern in cases with significant
6 outcomes as well. First, let's look at Tylenol drops,
7 infants' Tylenol drops.

8 Okay. Let's narrow the database down. We
9 start with 282,000 exposures in children -- I'm sorry,
10 in all ages to acetaminophen, single ingredient
11 products over a four-year period. That gets narrowed
12 down to children under the age of six with 153,000
13 exposures, Children's Tylenol products 82,000,
14 Infants' Tylenol drops at 26,000 exposures. Twenty of
15 those had moderate or major outcomes, and nine of
16 those moderate and major outcomes were therapeutic
17 errors. There were no fatalities with the Infants'
18 Tylenol drops in 1996.

19 The next slide, the next overhead, looks
20 specifically at these nine therapeutic errors. The
21 first thing that is evident is that, of the nine
22 cases, eight of them are under the age of two, which
23 on the one hand might point to a labeling problem, but
24 on the other hand, is really no surprise at all,
25 because they ask the age in which drops are used.

1 Remember that it is the age that drops are
2 used, but it's also the age where there are no dosing
3 instructions on the label. So the parent has to guess
4 at the dose or recollect the health professional's
5 advice without any way to check the label to confirm
6 it.

7 Now looking at the next overhead, at all
8 acetaminophen formulations instead of just drops we
9 see 23 therapeutic errors in children that had
10 moderate outcomes, major outcomes or deaths; and of
11 these, 11 we know involved pediatric formulations
12 exclusively.

13 Of the 23 therapeutic errors with
14 significant outcomes, 70 percent of them occurred in
15 children under the age of two, again pointing to a
16 problem with labeling.

17 The two deaths include a case in which the
18 mom treated her child with acetaminophen infant drops,
19 ran out of them, switched to chewables, and finally
20 crushed adult strength acetaminophen in the child's
21 formula, and a second case of a three-year-old treated
22 with extra strength acetaminophen tablets.

23 Now as you struggle to identify a lower
24 limit for labeling -- in other words, how low to go
25 with a new label -- I just want to be sure you're

1 aware of the data in the next overhead.

2 These data with acetaminophen by month
3 show that the therapeutic error problem goes all the
4 way down at pretty much the same rate to the two -
5 month-old point before it starts to drop off. So I
6 think this is a significant issue. We can't get rid
7 of this problem unless we have labeling that goes down
8 into the age where the therapeutic errors are
9 occurring.

10 Now in November of 1991 the FDA requested
11 data from the American Association of Poison Control
12 Centers on the role of dispensing cups and liquid
13 medication dosing errors. AAPCC conducted an eight-
14 day study in 16 U.S. poison centers, gathering data on
15 34 therapeutic errors involving dispensing cups.

16 Based on the population served by the
17 participating poison centers, it was estimated that
18 more than 7,000 dispensing cup related dosing errors
19 are reported to U.S. poison centers each year.

20 Cough and cold preparations were
21 implicated in 65 percent, acetaminophen elixirs in 18
22 percent, and three major errors were detected,
23 including teaspoon/tablespoon confusion when reading
24 the label on the cup, which occurred in 47 percent of
25 cases; the assumption that the dispensing cup was the

1 unit of measure, which occurred in 18 percent o f
2 cases; and the assumption that the full dispensing cu p
3 was the actual dose, which occurred in another 1 2
4 percent of cases.

5 All three of these errors are likel y
6 fueled by the difficulty reading or even noticing tha t
7 there are markings on the cup. As you consider movin g
8 to a dosing dispenser, I must urge FDA to requir e
9 contrasting, easily read labeling on the dosin g
10 dispenser so that the dosing dispenser does no t
11 contribute to, rather than decrease, the numbers o f
12 dosing errors.

13 Take a standard dosing cup wit h raised or
14 imprinted lines. Fill the cup with a liquid, and try
15 to see the gradations on their labels. The presence
16 of obvious gradations on the dispenser may also help
17 dispel the notion that the ent ire dispenserful is the
18 correct dose.

19 Now as a Mom, I'd like to make a
20 conclusion here. The failure to provide dosin g
21 instructions for children under the age of two doe s
22 not force the parent to call the pediatrician .
23 Because of diurnal variations in temperature, childre n
24 usually don't spike during office hours, and if they
25 did, their working parents would not notice or begin

1 to evaluate the fever until after hours anyway.

2 The evening, night and weekend
3 availability of pediatricians in the U.S. is at best
4 delayed, and failure to provide a dose does littl e
5 more than force the parent to guess what th e
6 appropriate dose should be.

7 Thank you.

8 CHAIRMAN D'AGOSTINO: Thank you. Ar e
9 there questions from the table?

10 DR. LOVELL: Could we go back to tha t
11 graph that you showed -- Could we go back to you r
12 graph where you showed the rate of or percentage o f
13 therapeutic errors by age, and could you agai n
14 describe how you used the coug h and cold preparations
15 and the difference in the curv e, and how that informs
16 us about these other products? That left me confused .

17 DR. LITOVITZ: Let me -- It was the secon d
18 overhead, please.

19 Let me begin by saying that these data ar e
20 really suggestive. This is not data that's going to
21 prove anything, but it was int eresting to me that the
22 acetaminophen and ibuprofen cu rves really came down a
23 whole lot faster than the cough and cold curve.

24 The cough and cold curve appears to b e
25 much flatter over this entire range. It is m y

1 observation, the majority of the products that wer e
2 included in this have no labeling below the age o f
3 six, and so that, if the problem is attributable t o
4 absence of labeling, you would expect to see a mor e
5 prolonged or flatter cough and cold curve and a more
6 rapid decline in the acetaminophen/ibuprofen curves,
7 because at the age of two you start to have adequate
8 labeling for the parent to confirm the dose.

9 DR. SILVERMAN: Similar, along the sam e
10 lines: When I was looking at your data, you seemed t o
11 imply that a lot of the errors were the use of adult
12 formulations in young children rather than th e
13 recommendation on a child dosing schedule.

14 So to have formulation under two on infan t
15 drops wouldn't do very -- would not be very useful, i f
16 you gave a table, and the example given was the perso n
17 who ran out of the drops. Wouldn't a goo d
18 recommendation, therefore, be not for use in children
19 on the adult formulations? To me, would seem to cut
20 down a significant number of t he overdose, especially
21 the significant ones.

22 DR. LITOVITZ: I think that that certainl y
23 is as a second issue. Now whe n we did -- The example
24 with the 11-year-old is just an isolated case in our
25 fatality reports. I don't hav e any numerical data to

1 show whether that's a common problem, but the on e
2 table that did have acetaminophen versus pediatri c
3 formulations -- Remember that in the pediatri c
4 formulation category -- I'm sorry, adult versu s
5 pediatric formulations.

6 In the pediatric category we have onl y
7 that which we know to be pediatric. In the othe r
8 categories everything else is either unknow n
9 formulation or adult formulation; but, yes, ther e
10 clearly is a misuse of adult preparations fo r
11 children.

12 CHAIRMAN D'AGOSTINO: Other comments ?
13 Yes?

14 DR. TONG: Dr. Litovitz, will you clarify
15 again for me what you said from age one to two wit h
16 regards to the ibuprofen drops? What was you r
17 suggestion, that this was on an upswing instead o f
18 following what we've seen here with acetaminophen?

19 DR. LITOVITZ: The difference here i s
20 predominantly -- You'll notice that the acetaminophen
21 and the ibuprofen graphs have a different shape .
22 Okay? When I look at that, I say, well, it' s
23 basically because of the starting point, the under -
24 one-year-olds, and 99 percent of the dosing in under-
25 one-year-olds in 1996 was acetaminophen. It wasn' t

1 ibuprofen.

2 So because you can't have a therapeuti c
3 error without use, I think the higher usage rate with
4 acetaminophen is related to the higher therapeuti c
5 error rated.

6 DR. TONG: So I thought you ha d said that
7 there was labeling with the ib uprofen product, and my
8 thought was maybe the labeling did have an impact .
9 But it's not the case?

10 DR. LITOVITZ: No. I wasn't trying t o
11 imply that there was a difference in labeling. No.

12 DR. SILVERMAN: One more question. Yo u
13 seem to imply at the end that the inability to obtain
14 medical guidance in the evenin g or on the weekends --
15 Does your database allow time, and did you look a t
16 that, like were a lot of the errors in the evening s
17 and on weekends, which was the implication of you r
18 last statement?

19 DR. LITOVITZ: Actually, my last statemen t
20 was prefaced as a Mom's opinion. Okay? My database
21 does allow time, but it is the time of the call to th e
22 poison center. So it's the time that the paren t
23 recognizes the error, not the time that the chil d
24 starts having a problem.

25 I did not look at that. It would be a n

1 interesting issue to pursue.

2 CHAIRMAN D'AGOSTINO: Yes?

3 DR. LOVELL: I'd like to comment on a n
4 apparent discrepancy between your database and the SR S
5 database for ibuprofen.

6 You report here the data 23,00 0
7 unintentional ingestions with ibuprofen and like 8,00 0
8 intentional. I think the SRS database would suggest
9 that -- this is in children -- that with ibuprofe n
10 that the large majority of them are intentional.

11 So I was wondering if you could comment o n
12 the discrepancies between the two databases.

13 DR. LITOVITZ: Well, first of all, w e
14 consider -- We classify therapeutic errors in th e
15 unintentional category. Secondly, the intentional s
16 that you see there are not limited to children. Ther e
17 are of all comers, if you're reading off the annua l
18 report. So it's broken down into unintentional versu s
19 intentional.

20 The majority of those intentiona l
21 exposures are teen and adult exposures.

22 DR. LOVELL: So your definitio ns somewhat
23 preclude the distinctions that were made by the SR S
24 group, because you would include a parenta l
25 therapeutic dosing error and a kid's accidenta l

1 ingestion --

2 DR. LITOVITZ: No, we don't -- The problem
3 is that what you're looking at there is data that's
4 only divided into unintentional or intentional or
5 other adverse reaction. What we separate are
6 unintentionals into those where the kids are grazing
7 through the house versus those where it's a
8 therapeutic error or environmental cases or bites and
9 stings or food poisoning, etcetera.

10 So we have a lot of subcategorizations of
11 unintentional. What you saw -- The numbers you saw
12 here were the actual therapeutic errors separated out
13 from other exposures. In the under six-year-olds,
14 virtually all of those were unintentional exposures.

15 So the very first slide that I showed you
16 for ibuprofen, for example, in 1996 had 23,000
17 exposures in children to ibuprofen. Virtually all of
18 those are unintentional, and another 2,000 -- a subset
19 of those, 2,000 of those, are therapeutic errors.

20 CHAIRMAN D'AGOSTINO: Yes?

21 DR. KODA-KIMBLE: Could you go over again
22 the major toxicities related to acetaminophen? Were
23 those related to the drop -- I mean, the kid's
24 formulations or were they adult formulations?

25 DR. LITOVITZ: We know that, of the

1 therapeutic errors -- Why don't we put that overhead
2 back up?

3 Looking at these data, we have 2 3
4 significant therapeutic errors from acetaminophen, 11
5 of which we know to be pediatric formulations. Okay?
6 Some of the others might be pediatric formulations ,
7 but 11 we definitively know are pediatric formulations
8 exclusively.

9 I mean, for example, one of those deaths
10 under the all-formulations involved a pediatric as
11 well as an adult compound. So it was a mixture. So
12 roughly half of the problem, we know -- the
13 significant outcomes, we know, is pediatric, and
14 roughly half of the significant outcomes probably or
15 might have involved adult preparations as well.

16 CHAIRMAN D'AGOSTINO: Yes, George?

17 DR. BLEWITT: What was the age breakdown
18 then of those under 11? How many of those were, for
19 instance, under two-year-old, under one -- of the 11?

20 DR. LITOVITZ: Okay. The slide right
21 before that shows that eight of the nine patients were
22 under the age of 11 -- under the age of two.

23 DR. BLEWITT: Yes, that's what I thought.

24 DR. LITOVITZ: One two-year-old and
25 everybody else is under two.

1 CHAIRMAN D'AGOSTINO: Do you have a
2 further comment, George, on that?

3 DR. BLEWITT: No.

4 CHAIRMAN D'AGOSTINO: Are there othe r
5 comments or questions from the table?

6 DR. BLEWITT: Could you put th e last item
7 on?

8 DR. LITOVITZ: Now, actually, there ar e
9 two cases missing here. There are two cases missing
10 here. This is all therapeutic errors fro m
11 acetaminophen. The other one is Tylenol drops. Ther e
12 are two other children, and they are both two-year -
13 olds, that are missing on that prior table.

14 So, actually, out of the 11, you've go t
15 three two-year-olds and everybody else is under two.

16 DR. BLEWITT: Oh, okay. Do you have i t
17 for the suspension?

18 DR. LITOVITZ: I don't.

19 DR. BLEWITT: The next slide says drops.

20 DR. LITOVITZ: Right.

21 DR. BLEWITT: This says pediatri c
22 formulations. Then the next s lide says infant drops.

23 DR. LITOVITZ: Right, and the other tw o
24 cases, I honestly don't know whether they wer e
25 chewables or elixirs.

1 DR. BLEWITT: Okay. I was jus t trying to
2 reconcile the numbers. Thank you.

3 CHAIRMAN D'AGOSTINO: There's a lot o f
4 uncertainty, obviously, in the breakdown of th e
5 numbers. Any other comments? There's one from th e
6 floor who keeps raising his hand. So I'll recognize
7 him. Could you speak into the mike?

8 DR. ROSA: How well --

9 CHAIRMAN D'AGOSTINO: Identify yourself,
10 please.

11 DR. ROSA: Franz Rosa. How well do yo u
12 pick up hepatic -- major hepatic reactions t o
13 acetaminophen in that these don't develop unti l
14 several days after the acetaminophen is started?

15 DR. LITOVITZ: Well, you know, obviously,
16 we don't pick them up unless the health professional
17 picks them up and makes the link to acetaminophen or
18 calls us with a hepatotoxic ca se to ask if there is a
19 toxin that might have caused it.

20 If we get a case primarily of a n
21 acetaminophen exposure that's called to us from home
22 that's an overdose or a therapeutic error or a n
23 accidental ingestion, then we do follow those case s
24 until at least the 48-72 hour point when we know that
25 their LFTs are normal, unless the dose ingested wa s

1 less than 150mg per kilogram.

2 So poison centers are relatively rigorous
3 in their follow-up of acetaminophen exposure cases .
4 We do capture a relatively large percentage o f
5 pediatric severe outcomes and pediatric deaths.

6 The test database has a great deal o f
7 difficulty and can be faulted for not capturing adult
8 suicidal or even accidental ov erdoses that are severe
9 or fatal with the rigor that i t does in the pediatric
10 setting.

11 CHAIRMAN D'AGOSTINO: Franz is from th e
12 FDA. Eric, do you have a comment?

13 DR. BRASS: Yes. Listening to your data
14 and that of the FDA, one is le ft with questions as to
15 the capture rate of the true i ncidents of these types
16 of disorders, and one of the potentially beneficia l
17 side effects of managed care h as been the development
18 of huge information systems that track for all th e
19 major health systems every patient through the system .
20 Every hospitalization now is on computer.

21 Have you ever -- Has there ever been a n
22 attempt, to anybody's knowledge, to try to cross -
23 validate any of the spontaneous reporting systems wit h
24 what is known now to occur in major health systems?

25 So, for example, one could determine how

1 many hospitalizations occurred in California fo r
2 overdoses. Then one could ask how many of those were
3 actually reported to a poison control center to se e
4 whether or not you're capturing ten percent, 9 0
5 percent of the overdoses through this type of - -
6 serious overdoses through this type of system.

7 DR. LITOVITZ: I'm not aware of any o f
8 that having been done with managed care databases .
9 There has been some validation with fatalit y
10 databases, and that's where we clearly see th e
11 difference between the reporting of adult fatalities
12 and pediatric fatalities to the test system.

13 DR. BRASS: What are those kind o f
14 numbers? What percentage of d eaths that are coded on
15 death certificates as overdoses had previously bee n
16 reported to poison control centers?

17 DR. LITOVITZ: In the adult setting ,
18 because you have the pre-hospital arrests and you have
19 the DOA patients, you're running under 25 percent of
20 the fatalities that are actually -- are being capture d
21 by poison centers, varying from region to region ,
22 depending on the liaisons that the health facilities
23 in the regions have with their poison control center.

24 The pediatric fatalities -- We actuall y
25 report a comparable total number of pediatri c

1 overdoses per year compared to the death certificate
2 and medical examiner databases. Interestingly, they
3 are not identical cases. So --

4 CHAIRMAN D'AGOSTINO: Ted?

5 DR. TONG: I'd like to take thi s
6 opportunity to maybe editorialize on two things. Last
7 month this committee sat and discussed the issue o f
8 whether to leave poison control centers on labels of
9 over-the-counter medicines, and I think there's a
10 compelling reason to keep pois on centers mentioned in
11 the labels for over-the-counter medicines. You ca n
12 see the data that's collected. It's voluntary, an d
13 it's really self-regulated, and I think, you know ,
14 presents a good picture, not t he entire picture, as I
15 think Dr. Brass was getting at.

16 The other thing is I know we're talkin g
17 about analgesics and antipyretics at this point, but
18 in your data 71,000 cases involving colds and coug h
19 preparations involving children younger than six year s
20 of age in which there were ten deaths and 131 majo r
21 outcomes or complications.

22 That might be an issue for a futur e
23 discussion in terms of, again, information an d
24 labeling, because certainly that's over ten percent o f
25 your total numbers of cases reported.

1 DR. LITOVITZ: And also the data suggests
2 that the therapeutic error rate with cough and cold
3 medications is roughly twice that with the analgesics .

4 CHAIRMAN D'AGOSTINO: Are there other
5 comments? This is a good time for a break. Why don't
6 we come back at 10:15.

7 Dr. Berlin, there is a place at the table
8 for you.

9 (Whereupon, the foregoing matter went off
10 the record at 9:58 a.m. and went back on the record at
11 10:15 a.m.)

12 CHAIRMAN D'AGOSTINO: Dr. Cheston Berlin
13 is now going to speak to us.

14 DR. BERLIN: Good morning. I'm Cheston
15 Berlin. I'm University professor of pediatrics at
16 Penn State University College of Medicine. I'm a
17 practicing pediatrician at the Penn State Geisinger
18 Health System, and I recently concluded a tour of 11
19 years on the Committee on Drugs, the last four of
20 which I served as Chairperson.

21 I am not here in an official capacity of
22 the American Academy of Pediatrics, but I would like
23 to present to you a distillation of the thoughts that
24 we've had over the past four years on a number of
25 labeling issues, and particularly the one that we're

1 discussing today.

2 The first statement I want to make is that
3 we are a fever phobic country, and this explains the
4 intense interest we have -- This explains Dr .
5 Litovitz's fantastic database of the reports and
6 explains the large number of unfortunate events that
7 we have associated with antipyretics.

8 There's only one reason to use
9 antipyretics in children, and that is to make the
10 child more comfortable, and even the data on that is
11 somewhat equivocal in some of the areas used to
12 measure comfort.

13 The toxicity that we've heard about this
14 morning is almost exclusively in children who have
15 received these for fever and not have received the
16 for pain. I want to make sure that that is an
17 important issue. I think this is because fever
18 imparts a difference to the physiology which may make
19 the patient more at risk for toxicity of the compound .

20 For at least the last ten years, it is now
21 established practice in pediatrics to give one or the
22 other of these compounds, acetaminophen or ibuprofen,
23 to children down to age two months on a regular basis
24 because of the administration of immunizations.

25 There is a large number of papers

1 attesting to the efficacy of this. Unfortunately ,
2 most of those papers are unassociated with
3 pharmacokinetic data, but at least there is some
4 pharmacodynamic data and some safety and toxicity
5 data.

6 So this is now the reality. We are using
7 these in children down to two months, and we think
8 that appropriate, clearly labeled products will be of
9 really great benefit.

10 We continue to be somewhat mystified, and
11 I think that our industry colleagues will help clear
12 this up in their presentation, of why there has to be
13 so many different formulations of these compounds ,
14 especially two different kinds of liquids. I think
15 that this is a significant problem, and if we could
16 have just simply one liquid with one concentration ,
17 things would be much better.

18 I have long advocated doing away with the
19 term teaspoon. There is a 100 percent error in
20 flatware and cooking teaspoons, and parents will use
21 this, and many of the labels on the products we are
22 talking about today, although they want you to use
23 their calibrated measuring item, they still use the
24 term teaspoon.

25 So parents grab whatever teaspoon they can

1 find. It may be a tablespoon, and there are
2 surprisingly a large number of people who really do
3 not know the difference between a teaspoon and a
4 tablespoon.

5 The labeling is very unclear. I spend a
6 lot of interesting time in pharmacies. I've been
7 questioned a few times by pharmacists of what I am
8 doing there, but I like to read labels, because I
9 learn a lot; and they are occasionally quite opaque.

10 For example -- and I want to make a
11 special point about suppositories -- there are three
12 different dosage forms of suppositories that I could
13 find. One of them is a 325mg suppository for ages 6-
14 12, and it says one suppository every four to six
15 hours. That would be a maximum of four a day, but
16 then it's followed by the statement, "Do not use more
17 than eight in 24 hours."

18 Same labeling for a 80mg infant
19 suppository for three to 11 months, one suppositor y
20 every six hours, do not use more than six in 24 hours .
21 So you can appreciate the kind of confusion that might
22 exist.

23 I still have patients that refer to these
24 products as liquid aspirin, and I was relieved to see
25 that the number of reports of untoward events from

1 aspirin remains very, very low , and we have to try to
2 do what we can to dispel this notion that this is a
3 form of aspirin.

4 Finally, I want to also make a plea that
5 we pay attention to a possible difficulty with
6 prescription drugs that might be used for antipyresis ,
7 but more likely are going to be used for pain control ,
8 being switched from prescription to over-the-counter
9 status without necessary pediatric labeling or
10 pediatric formulation.

11 I think I just have -- Can somebody turn
12 the projector on for me, please. I just have three
13 slides I want to show you to illustrate the things
14 that I am talking about. Thank you.

15 I think we have some actual packages here
16 with us today, but you can see how clustered and
17 crowded this labeling is for most of our patients ,
18 80mg per 0.8ml. I'm not quite sure why we have that.
19 why don't we have 100mg per 1ml, and here is the
20 difference between the labeling between the drops and
21 the liquid.

22 The only -- You look at this quickly, and
23 you can appreciate that people think this is the same
24 as this. Liquid and drops are really the same, and
25 it's here also, of course, somewhat more difficult to

1 decipher and to translate. The concentration of this
2 is 150mg per 5ml.

3 So we have here one, two, three different
4 dosage forms between these two products. The same
5 situation with regard to this particular form of
6 ibuprofen, 50mg per 1.25, which is defined as a
7 dropperful, and here is the suspension. It's not
8 entirely clear why this suspension is different than
9 this suspension, but yet you can see this says 100mg
10 per 5ml, where this, of course, would be 200.

11 So here we have the same words on a
12 product, but very different concentrations.

13 Finally, a comment about labeling in
14 general, which continues to be confusing. This ad
15 just appeared this year, and it says that they cleared
16 up the labeling, and it's not quite clear to us why
17 this was done this way, to begin with.

18 This dosage discrepancy between two
19 different forms of Benadryl was brought to my
20 attention by one of my patients. I don't think there
21 was any professional notification that the new dye-
22 free formula was going to have less, but I was told
23 initially on inquiry that the reason for this was an
24 issue of solubility, and they had to make it one-half
25 of the previous one, and yet now I find that suddenly

1 the solubility problem has been solved, and we no w
2 have 12.5 for both forms, which is good news indeed.

3 So I'm hoping that we can get som e
4 uniformity of labeling, both in terms of th e
5 concentration of the suspension and in terms of th e
6 information that's on the label.

7 I continue to make a plea for putting on
8 labels in a prominent position that they may hav e
9 other ingredients in them. There is a brand name sor e
10 throat formula out there that does not have on th e
11 front panel that it contains acetaminophen.

12 So that, if a child is gettin g
13 acetaminophen for fever and this for sore throat pain ,
14 this child is getting a double dose of acetaminophen,
15 and I've calculated out for an average four-year-old
16 that the girl would be getting between 35-40mg pe r
17 kilo of acetaminophen.

18 So that's the other area of la beling that
19 we need to have some clarity on.

20 That concludes my presentation.

21 CHAIRMAN D'AGOSTINO: Thank you, Dr .
22 Berlin. Are there questions? Comments?

23 If there aren't any, then let me thank yo u
24 once again for that presentation, and move on to the
25 next item on the agenda, which is the Nonprescription

1 Drug Manufacturers Association . Dr. Bill Soller will
2 begin t he discussion, then will, I guess -- Bill ,
3 you're going to move from one speaker to the nex t
4 within your agenda?

5 I've been asked also to deviate form m y
6 usual practice of holding them to the amount of time.
7 Actually, we've built up some time now with Dr .
8 Berlin, but there's some issues which we may want to
9 go back to from this morning, also within thi s
10 presentation, and it would be a good opportunity for
11 us.

12 DR. SOLLER: Thank you. Can y ou hear me?
13 Is this microphone on?

14 CHAIRMAN D'AGOSTINO: Is it on?

15 DR. SOLLER: Can you hear me now? I think
16 it's on. At least I can hear me echo.

17 Good morning, Mr. Chairman, members of th e
18 committee. My name is Dr. Bill soller. I'm Senio r
19 Vice President and Director of Science and Technology
20 for the Nonprescription Drug Manufacturer s
21 Association, NDMA. We are a 116-year-old trad e
22 organization representing the manufacturers an d
23 distributors of nonprescription medicines.

24 As companies, by sales our member s
25 represent over 95 percent of the over-the-counte r

1 marketplace, and we have commented on virtually al l
2 aspects of the OTC review. In fact, we were here in,
3 I guess, '95. We were presenting to th e
4 Nonprescription Drug Advisory Committee, and I think
5 there were seven members that are not here today. So
6 there's been turnover in the committee.

7 Dr. D'Agostino, if we could tak e
8 clarifying questions as we go through and, if we have
9 substantial questions, we have time at the end fo r
10 Q&A.

11 CHAIRMAN D'AGOSTINO: Fine. I thin k
12 that's a good approach.

13 DR. SOLLER: Today's discussion is a
14 subject that is not a subject of a supplemental NDA,
15 is not a subject of a monograph amendment. Th e
16 scheduling on NDAC's schedule was unexpected for us.

17 We've had a matter of weeks to prepare ,
18 but we think we've put together some usefu l
19 information which you can use in your deliberations o n
20 this particular issue. We have a handout that we hav e
21 given to you, and it's a blue handout, and we'll b e
22 walking through this sequentially.

23 If I could have the next slide.

24 With me, presenting today is Dr. Samue l
25 Lesko, Senior Epidemiologist, Slone Epidemiology Unit ,

1 Boston University; Dr. Tony Temple, Executive Director
2 of Medical Affairs, McNeil Consumer Products; and in
3 addition, as part of -- to help with the discussion,
4 we have several other experts with us, including Dr.
5 Ralph Kauffman, Professor of Pediatrics Pharmacology,
6 University of Missouri; Dr. Richard Weisman, Director
7 of Florida Poison Control Information Center, Research
8 Associate Professor of Pediatrics, University of
9 Miami; and Dr. Wayne Snodgrass, Professor of
10 Pediatrics and Pharm Tox, University of Texas Medical
11 Branch, Galveston.

12 Our remarks are in five parts. I'll start
13 with some introductory remarks, and then we will get
14 into a discussion of the lower age limit, rationale
15 for the liquid and infant drops formulations, our
16 recommendations on pediatric labeling, and packaging
17 and then a summary.

18 By way of overview, this is follow-up to
19 the January '95 NDAC meeting. Pediatric dosing
20 schedules for analgesic/antipyretics and cough/cold
21 preparations were looked at at that time, particularly
22 the reconciliation of the finely divided age breaks
23 for the analgesic/antipyretics and the age break at
24 age six for the cough/cold.

25 At the end of the day -- I think it was

1 the last question -- in looking at what the minimum
2 age should be, the answer from the panel was on a case
3 by case basis. As we've looked at the comparative
4 material that was given to you, we understand that we
5 are really looking at the antipyretic liquid
6 formulations issue.

7 We're focusing on acetaminophen and
8 ibuprofen, not aspirin which can't be formulated as a
9 liquid as we think about a child that would be dosed
10 under two, and we prefer a liquid; and we're looking
11 at the lower age limit and the benefits of liquids and
12 broths.

13 Next slide.

14 By way of looking at the formulations, the
15 drops and liquids -- the drops, obviously, more
16 concentrated. This is the acetaminophen
17 concentration, the ibuprofen concentration being 50mg
18 per 1.25 ml, and the liquid acetaminophen here for
19 ibuprofen is 50mg per 2.50 ml.

20 The dropper has line demarcations at .8
21 and .4, and the cup, teaspoon or tsp. demarcation
22 that reconcile with what the dosage directions are,
23 and we'll show that in actual labeling. Drops for
24 two to three-year-olds, although we know it is being
25 used in children under two, as you heard from Dr .

1 Berlin, and the liquids labeled two-to-six-year-olds.

2 Just a brief comment on treatment of
3 fever, and a similar comment toward what Dr. Berlin
4 said. The principal source often of viral infection
5 presenting as URI -- Dr. Lesko will have a little bit
6 more to say about this in terms of the Boston fever
7 study.

8 Fever is often uncomfortable, often
9 associated with other symptoms, other signs such as
10 headaches, malaise, muscle pain, anorexia,
11 irritability, restlessness, poor sleep; but the
12 primary reason to treat a child is not to lower the
13 fever per se. It's for the comfort of the child.

14 So in sum, we are focusing on
15 acetaminophen and ibuprofen pediatric liquid and drop
16 formulations. We're focusing on this question of what
17 should the lower age limit be, what is the nature and
18 extent of the potential confusion, consumer confusion,
19 if any, between drops and liquid formulations.

20 As we get into discussing these two
21 questions, just as a side comment here, I found it
22 interesting hearing from Dr. Litovitz today and the
23 FDA presentation and then reflecting on what we were
24 going to be presenting today in terms of the Boston
25 fever study and our adverse experience data that we've

1 all looked at this in slightly different ways.

2 I think this gives you a good foundation
3 as you get to the questions later in the day and as we
4 focus on these two questions of the lower age limit
5 and the confusion issue that does exist.

6 Lower age limit: As we get in to the meat
7 of our presentation, a brief comment on the
8 recommendation and rationale for the lower age limit,
9 and then we will get into a discussion of the
10 supportive data. I'll have a brief comment on
11 established efficacy, and then turn the mike over to
12 Dr. Samuel Lesko, Boston University fever study.

13 Well, we recommend a lower age limit at
14 least down to six months of age, and we chose this six
15 months for the following reasons: Current pediatric
16 practice; doctors recommend to parents to use fever
17 reducers in children under two years of age for fever,
18 for the immunization schedule, but there is no OTC
19 labeling which, at present, we think, would serve to
20 inform the consumer and reinforce doctor
21 recommendations.

22 In addition, there is clinically
23 demonstrated safety and efficacy for ibuprofen and
24 acetaminophen down to at least six months of age.
25 Some trials go to two to three, but the Boston fever

1 study, which was the prospective study, goes to si x
2 months, and that's basically in preparation for this
3 meeting where we came to the endpoint in thinking of
4 the lower age limit.

5 Now you've heard, I believe, from Dr .
6 Berlin that the top side should be lower, and ther e
7 may be other information that you're hearing toda y
8 that would suggest that it should be lower than si x
9 months, and the industry would support that as well.

10 Finally, our last reason: An acceptable
11 adverse experience profile so that, as we migh t
12 capture this in one sentence, the label should not, w e
13 think, be silent on information that would be useful
14 for a physician and consumer interaction, given what
15 we know in terms of the safety of acetaminophen an d
16 ibuprofen.

17 A brief comment on efficacy -- wel l
18 studied for these two ingredients, acetaminophen and
19 ibuprofen, 22 published randomized controlled trials
20 on APAP and ibuprofen for fever reduction in abou t
21 3700 kids, two months to twelve years of age, t o
22 establish fever reduction in this range, 5-10mg pe r
23 kilogram ibuprofen, 10-15mg per kilogra m
24 acetaminophen, and again another comment.

25 You were given the Kramer et al. study in

1 the pack. That looked at 225 febrile children, si x
2 months to six years of age, with temperatures at o r
3 above 100.4 degrees; 10-15mg per kilo acetaminophe n
4 versus placebo, and among the findings significan t
5 improvement in activity, alertness, improvement i n
6 mood and eating, for treating the comfort of th e
7 child.

8 So with that background, what I would lik e
9 to do is turn the podium over to Dr. Sam Lesko, wh o
10 will give you an update of the Boston fever study, an d
11 I will comment that in 1995 during the approval o f
12 pediatric ibuprofen the Nonpre scription Drug Advisory
13 Committee did look at these data, but again seve n
14 individuals on that committee are not present toda y
15 because of the turnover. So Dr. Lesko will walk u s
16 through the data and look at the under-two age group
17 that's represented in that database.

18 Dr. Lesko.

19 DR. LESKO: Thank you. Can we put th e
20 slides up.

21 What I'd like to do is describe for yo u
22 the results of a very large, post marketing study of
23 the safety of antipyretic use in children, the study
24 that we call the Boston University Fever Study.

25 As Bill has already mentioned, I'v e

1 previously presented the basic results of the study to
2 this committee in March of 1995, but because the
3 committee composition has changed, I'll review the
4 study design, but will focus most of my remarks this
5 morning on the data as it applies to children under
6 the age of two years.

7 These results have also been published in
8 The Journal of the American Medical Association and,
9 I believe, were instrumental in the Committee's
10 decision to recommend over-the-counter sales of
11 children's Motrin.

12 Briefly, the purpose of our study was to
13 assess the risk of rare but serious adverse events
14 following the use of ibuprofen suspension for fever in
15 children. The study was designed and conducted by the
16 Slone Epidemiology Unit of Boston University, was
17 sponsored by McNeil Consumer Products Company, and
18 conducted with guidance provided by a committee of
19 independent experts composed of experts in clinical
20 pharmacology, toxicology, and the relevant pediatric
21 subspecialties.

22 The study is an office based, randomized,
23 double blind, acetaminophen controlled clinical trial.
24 Patients were enrolled by more than 1700 primary care
25 physicians from throughout the country, and a total of

1 more than 84,000 children participated in the study.

2 These children were seen by their
3 physician during an acute care visit, and the primary
4 eligibility criteria for the study were that the child
5 have an acute febrile illness which, in the opinion of
6 the examining physician, warranted treatment with an
7 antipyretic. The age range for children in the study
8 was six months through 12 years.

9 Eligible children were randomly assigned
10 to receive one of three antipyretic suspensions ,
11 either acetaminophen at 12mg per kilo per dose or
12 either or two doses of ibuprofen, 5 or 10mg per kilo
13 per dose.

14 The primary outcomes for the study were
15 hospitalizations for those conditions associated with
16 ibuprofen use in adults. That is, namely, acute
17 gastrointestinal bleeding, renal failure, anaphylaxis .
18 Because of the recognized association between aspirin
19 use and Reye's Syndrome in children, we included
20 Reye's Syndrome as a primary outcome event as well.

21 Follow-up data were collected by contact
22 with the parents four weeks after enrollment in the
23 study. Medical records were obtained for all children
24 hospitalized during the course of the study, and it's
25 a review of that medical record information that

1 provides our outcome data.

2 The data analysis, simply put, was t o
3 compare the hospitalization rates for children treat e d
4 with acetaminophen compared to those treated wit h
5 ibuprofen, and the study was conducted betwee n
6 February of 1991 and June of 1993.

7 Of the more than 84,000 childr en enrolled
8 in the study, we obtained follow-up information o n
9 27,065 children who were less than two years of age,
10 about 57,000 children two years and older.

11 The median age for the younger group, the
12 group we're focusing on this morning, is 13 months .
13 The median weight of 10 kilograms, 54 percent wer e
14 male, and the majority were white.

15 The cause of fever that we saw in thes e
16 children is fairly typical of what we would expect to
17 see in pediatric outpatient practices. Uppe r
18 respiratory tract infection was common in both ag e
19 groups. Otitis media or ear infection was more commo n
20 in the younger children than older. Pharyngitis o r
21 throat was more common in the older children. Lower
22 respiratory tract infection -- and by that I mea n
23 bronchitis and pneumonia -- and gastroenteritis were
24 similarly distributed in the two age categories.

25 Now not all of the children enrolled i n

1 the study actually received any study medication .
2 Owing to the episodic nature of fever, about five
3 percent of the children overall did not receive the
4 study medication, and a slightly smaller percentage in
5 children under age two. About four percent of these
6 children received none of the study medication.

7 Of those who have received the study
8 medication, a median of between six and ten doses of
9 medication were received over a median of three days.

10 If we further stratify the data in either
11 of these age categories according to the specific
12 randomization group -- that is, the specific
13 medication product -- we find that the distributions
14 are dead on equal. So there was effective
15 randomization of the drug assignment within this
16 population.

17 I'd like to turn now to a review of the
18 outcomes data, and I'm first going to look at
19 hospitalization for any cause during the follow-up
20 period, and on this table I'm showing hospitalization
21 for children less than two years of age.

22 Out of 27,000 participants in the study,
23 385 children were hospitalized, for an overall
24 hospitalization rate of 1.4 percent. The
25 hospitalization rate did not vary by treatment group.

1 The same proportion of children treated with
2 acetaminophen were hospitalized as were those treated
3 with ibuprofen.

4 Let me point on this slide, because the
5 next several will be similar, there are twice as many
6 children assigned ibuprofen in the study as
7 acetaminophen, about 9,000 acetaminophen exposed
8 children and nearly 18,000 treated with ibuprofen.

9 So the overall hospitalization rate did
10 not vary by treatment group. It was, however, about
11 twice as high in the children less than two years of
12 age compared to children two years and older.

13 If we turn to the primary outcome events
14 for the study, we see that there were very few. Among
15 children younger than two years of age, there were
16 only three children with gastrointestinal bleeding.
17 All of these children had been assigned to treatment
18 with ibuprofen.

19 Now the three GI bleeds were fairly mild.
20 None of them required surgery or blood transfusion,
21 and all responded to simple supportive expective care.

22 If we take those three hospitalizations
23 with GI bleeding over a denominator of nearly 18,000
24 exposed children, we can calculate that the risk of
25 hospitalization with GI bleeding among children

1 treated with ibuprofen is 17 per 100,000.

2 That risk is not statistically greater
3 than the observed risk of zero in the 9,000 children
4 treated with acetaminophen, nor is the risk of
5 hospitalization with GI bleeding in these data greater
6 among children less than two years of age than
7 children two years and older.

8 The point estimate for the risk of
9 hospitalization in older children is 2.6 per 100,000,
10 but that is not a statistically significant
11 difference.

12 For our other outcome events, renal
13 failure, anaphylaxis and Reye's Syndrome, we had no
14 occurrences of those events in either medication group
15 or either age category. So these outcomes were
16 exceedingly rare.

17 We looked at our data for some other
18 outcome events that might give us some information
19 about adverse consequences of treatment with
20 antipyretics in children, and there are two other
21 diagnoses that occurred sufficiently frequently to
22 warrant an evaluation. Those are hospitalizations for
23 asthma and hospitalizations for gastritis or vomiting.

24 Among children younger than two years of
25 age, there were 32 children hospitalized with a n

1 asthmatic complication, for an overall hospitalization
2 rate of 118 per 100,000 courses of therapy, and there
3 is no statistically significant difference in the
4 hospitalization rate by antipyretic choice. The
5 hospitalization rate for asthma in children younger
6 than two years is about 90 percent greater than the
7 corresponding rate in children two years and older,
8 however.

9 I've also showed on this slide children
10 hospitalized for bronchiolitis. Bronchiolitis, as you
11 probably know, is a condition associated with acute
12 wheezing in children, and there's a fair amount of
13 diagnostic confusion, but the distinction between an
14 asthmatic episode and an episode of bronchiolitis may
15 be quite fuzzy in children.

16 So I've displayed the data for
17 bronchiolitis as well. There were 33 children
18 hospitalized with bronchiolitis, a similar rate to the
19 asthmatic admissions, and again there was no
20 statistically significant difference according to
21 choice of antipyretic.

22 There were virtually no cases of
23 bronchiolitis in the older children. So an age-
24 specific comparison cannot be done.

25 For the diagnosis of gastritis or

1 vomiting, there were only nine children younger than
2 two with that diagnosis. The overall rate was 33 per
3 100,000, and did not vary significantly by choice of
4 antipyretic. The hospitalization rate for gastritis
5 was also the same in both age categories. That is, it
6 was no more common in the younger children compared to
7 the older children.

8 Although we did not see -- or did not have
9 any children hospitalized with renal failure, we
10 sought evidence in our data that there might be a
11 effect of antipyretic choice on more mild degrees of
12 impairment in renal function, and similar to a
13 analysis we conducted in the overall study in which we
14 looked at admission creatinine levels in children
15 hospitalized during the course of the study and
16 compared the mean creatinine level on admission
17 according to antipyretic assignment. We repeated that
18 analysis for children younger than two years of age.

19 In the main study we found no difference
20 in mean creatinine on admission. For children younger
21 than two years of age, we had analyzable creatinine
22 data on 112 children, and among children exposed to
23 acetaminophen the mean admission creatinine was 0.34mg
24 per deciliter. 0.42mg per deciliter was the mean
25 among children treated with ibuprofen.

1 On a simple unit vary comparison, a simple
2 t test, that difference is statistically significant.
3 The p value is .03. When we conducted a multi-varied
4 analysis using ANOVA, taking into account age, race,
5 weight, and the presence of dehydration, the
6 difference is no longer statistically significant.

7 We also looked for evidence that an
8 elevated serum creatinine might vary in prevalence
9 between treatment groups. Using a cut point of 0.7mg
10 per deciliter, there were none of the children exposed
11 to acetaminophen that had a creatinine level that
12 high, but six percent of children treated with
13 ibuprofen had a creatinine level at least that high.
14 This, again, does not represent a statistically
15 significant difference, however.

16 We further explored the creatinine data by
17 looking at age and dose specific categories, reasoning
18 that if this was a causal association, we might expect
19 to see higher creatinine levels in the higher
20 ibuprofen dose group, and we did not see that.

21 We also considered that, if it was an age
22 specific phenomenon, we might see higher creatinine
23 levels in children under one year of age, and we did
24 not see that either.

25 So to summarize, what I'd like to say is

1 that, among 27,000 children less than two years o f
2 age, ibuprofen suspension was not associated with an
3 increased risk of hospitalization overall o r
4 hospitalization for acute GI b leeding, renal failure,
5 anaphylaxis or Reye's Syndrome, when compared t o
6 acetaminophen.

7 The absolute risk of hospitali zation with
8 GI bleeding in these data for children less than two
9 years of age treated with ibuprofen was 17 per 100,00 0
10 courses of therapy, and that was not significantl y
11 different from the rate observed in children two year s
12 and older.

13 Among children treated with ac etaminophen
14 in the study, none was admitted to the hospital fo r
15 any of the primary outcome events the study wa s
16 designed to look at, GI bleeding, renal failure ,
17 anaphylaxis or Reye's Syndrome.

18 The higher rates of hospitalizatio n
19 overall and hospitalization for asthma in childre n
20 younger than two years of age is not unexpected .
21 That 's a recognized phenomenon in pediatrics. Th e
22 observation that, among children younger than tw o
23 years of age that were hospitalized that we saw this
24 slightly higher mean creatinine on admission to th e
25 hospital than the ibuprofen treated group, we think,

1 has to be viewed with some caution.

2 That difference is small. The means were
3 essentially within the normal limits for age specific
4 creatinines, and the analysis was conducted post hoc
5 in a setting of multiple comparisons. So that the
6 calculated p value for that univariate comparison is
7 probably an underestimate of the probability that
8 chance accounted for our observations.

9 With that, I'd like to conclude.

10 CHAIRMAN D'AGOSTINO: Thank you. Just to
11 make sure we're all saying the same thing, the under-
12 two means six months to two years. Right?

13 DR. LESKO: That's correct.

14 CHAIRMAN D'AGOSTINO: The answer is that's
15 correct.

16 DR. LESKO: That's correct. There may, in
17 fact, be a small number of children in the study who
18 we would calculate an age of five months, but six
19 months is the effective lower limit.

20 CHAIRMAN D'AGOSTINO: Questions? We can
21 hold the questions to the end. They may be picked up
22 in this next couple of presentations.

23 DR. SOLLER: Thank you, Dr. Lesko.

24 What I'd like to do now is to move to
25 review of our adverse experience profile for the

1 infant drops and children's liquids and, specifically ,
2 to look at the NDMA member adverse experience survey
3 that we did in preparation for this meeting, and then
4 a review of selected cases.

5 We, as I will show, took a slightly
6 different approach than FDA took, because as we were
7 looking at this issue, we wanted to focus down to the
8 issue of teaspoon amounts being used to dose the drops
9 product, and that's what we're going to show you here .

10 The purpose of our survey was to review
11 spontaneous OTC adverse experience reports to
12 companies, to help assess the safety and define the
13 nature and extent of potential confusion, if any, with
14 the drops and liquid formulations.

15 It's just a comment in terms of the
16 differences between the FDA review and the NDMA review
17 of adverse experience, not to say one is necessarily
18 better than the other; but as I said earlier in my
19 comments, provide interesting perspectives.

20 The FDA review looked at all products ,
21 including the solids and all the different liquid s
22 back to '69 in the materials that were given you, no
23 denominator estimate, including all reports of suicide
24 and so on.

25 We looked at the OTC liquids and drops .

1 We looked at the last five years, '92 to '97, and
2 you'll see through this that for ibuprofen we're
3 basically talking about '95 through '97. It's
4 somewhere between a year and a half to two years of
5 experience.

6 The reason we took that is so that we
7 could get a denominator estimate. Our sales records
8 in terms of units shipped go back five years, and
9 that's where we have accurate records. So we decided
10 to focus in on those last five years, and to focus in
11 on the issue of using teaspoon amounts for the drops.

12 We have five companies, representing over
13 90 percent of the OTC children's/infants single
14 ingredient, liquid and drops, fever reducer, pain
15 reliever market, all five of the major OTC brand name
16 products, over 75 percent of the store brand products
17 over this period of January 1, '92 through June 30,
18 '97.

19 Just a couple of points to consider: The
20 first has to do with how we define serious, as you go
21 through this, both in our review of the NDMA member
22 survey as well as what Dr. Temple will show you, and
23 just to review from the MedWatch definitions: Death,
24 life threatening, hospitalization, disability,
25 congenital anomaly, and requiring intervention to

1 prevent permanent impairment or damage -- th e
2 shorthand for a longer description of that.

3 The second has to do with several caveats ,
4 and you've heard also some caveats in the FD A
5 presentation. We cannot develop concurrent incidence s
6 from these data. The reason is that there i s
7 underreporting of adverse experiences in general. Th e
8 second is causality cannot be inferred. All reports
9 are submitted by a company if the drug is reported in
10 the record.

11 Could I have the next slide?

12 This is from the Code of Federa l
13 Regulations on requirements for records and report s
14 concerning adverse drug experiences, only to point ou t
15 a disclaimer in Section G that, if there's not a
16 report going -- a report going in does not necessaril y
17 reflect that the reporter of information constitutes
18 an admission that the drug caused or contributed to a n
19 adverse effect.

20 Just a brief comment before we go back to
21 the previous slide, and that is that you've hear d
22 earlier that 23 percent fatality with acetaminophen,
23 and that was the phrase used. We cannot -- What that
24 really meant was there was a 23 percent -- I'll call
25 it a rate, but it's not a population rate -- withi n

1 the adverse experience database that was reported .
2 this is not a 23 percent that is a population rate ,
3 and that's part of the point that we're trying t o
4 convey here, because of the limitations that we have
5 with adverse experience reports.

6 Previous slide.

7 The next point is: As we are looking at
8 the denominator, we will provide sales data based on
9 units shipped. This is our best estimate. It means
10 it's not doses consumed, but we do present this ,
11 because it provides a perspective on the overall l
12 denominator, another reason wh y we can't come up with
13 a population rate.

14 Then, finally, remember that, as we'r e
15 looking at units shipped, we're not talking abou t
16 equivalent pediatric doses; and as you look at units
17 shipped, the children's liquids in comparison to the
18 infant drops are sold in large r volume. So there's a
19 greater number of potential ex posures per unit liquid
20 formulation. Just a caveat as you look at thes e
21 numbers.

22 This is an overview of the OTC
23 acetaminophen, ibuprofen NMDA member advers e
24 experience survey covering '92 to '97 for childre n
25 under 12 years of age for thos e products marketed for

1 children up to 12 years of age.

2 Here we have the formulations broken out
3 for acetaminophen, again a five-year experience, and
4 ibuprofen, a two-year experience broken out for the
5 drops and liquids for nonserious and serious AE. The
6 number of deaths here are from the serious numbers.
7 So death is counted as a serious AE. We broke them
8 out separately here, and over here are the number of
9 units shipped.

10 Again, you can see an extensive exposure
11 over this period, two children in terms of these
12 products. In addition, you can see for the drops and
13 liquids for acetaminophen a breakout of 13 and 17; for
14 ibuprofen over this period zero and 15, but
15 recognizing this difference in terms of the
16 perspective of the relative exposure to kids.

17 I would also like to point out that there
18 were two deaths for the drops, acetaminophen,
19 reported, and two deaths reported for the liquid
20 ibuprofen. What I would like to do is just to briefly
21 review these four deaths for you, because we do not
22 believe that they are related to the basic issue of
23 teaspoon amounts for the drops product.

24 Then what we'll do is I'll turn the
25 microphone over to Tony Temple who will review the

1 McNeil database which represents the majority o f
2 these, because they have the majority of the market,
3 and it is a confined system.

4 They can look exactly at 1-800 number in
5 terms of what people are askin g about these products,
6 but that's instructive, as well as the McNeil databas e
7 on adverse experiences as we l ook specifically at the
8 issue of teaspoon amounts for the drops product.

9 Looking at the fatalities, there wer e
10 four, two for acetaminophen -- not much informatio n
11 available on these. It was a four-month-old. Th e
12 correct dose was given. The child died of fatt y
13 liver, reminiscent of an inborn error of metabolism o r
14 Reye's Syndrome. It was not diagnosed as that, bu t
15 not characteristic of acetaminophen toxicity.

16 The seven-month-old was a therapeuti c
17 overdose; a Laotian parent unable to read English ,
18 unable to read the label, used the dosage amount that
19 she was familiar with in terms of her country o f
20 origin.

21 Ibuprofen: A child 1.9 years, one dose,
22 aspiration, confounded by enzyme deficiency ,
23 congenital anomalies and other sicknesses; and a 25-
24 month-old reported to die of anaphylaxis.

25 So in conclusion, we think the advers e

1 experience member survey that we compiled supports th e
2 results of the perspective study that Lesko presented ,
3 and what I'd like to do is to turn it over to Dr .
4 Temple, who will review the nonserious and actual y
5 serious cases relating to this issue of product advic e
6 confusion.

7 DR. TEMPLE: Well, I'm happy to be her e
8 once again with this committee, and I appreciate the
9 opportunity to discuss these i ssues. I think you all
10 pretty much know, I'm an advocate for standardizin g
11 pediatric dosing schedules, and that would includ e
12 availability of those schedule s on OTC products under
13 age two.

14 What I want to do is show you some McNeil
15 Consumer Products Company pediatri c
16 analgesic/antipyretic data. These are trends i n
17 inquiries and adverse event re ports that have come to
18 McNeil, and these data are included in the member AE
19 survey that Bill Soller has ju st shown you, but we'll
20 be able to give you some additional detail at thi s
21 point.

22 We're going to talk about McNe il Consumer
23 Products Customer Response Center contacts. What we
24 mean by that is we get letters or inquiries, mostl y
25 phone calls by a large number, and we're going to loo k

1 at between 1992 to July of 1997, children's liquid s
2 and infant products.

3 We'll focus on how many inquiries, bu t
4 specifically were there inquiries about dosing, an d
5 then were there adverse event reports, which we'l l
6 show you the number of, and how many times was th e
7 teaspoon used for infant drops. That's what we want
8 to show you at this point.

9 Now for those of you who aren't familiar
10 -- let's make sure those are well up on the -- so tha t
11 people -- That's good. For those of you who ar e
12 perhaps not fully aware, in the OTC industry there ma y
13 or may not be a requirement to collect adverse event
14 information and report it, bec ause OTC products don't
15 necessarily have that requirement.

16 Because acetaminophen is an NDA produc t
17 and has been since 1955, the data are reported, and s o
18 the data we're talking about here have been reported
19 to FDA, and I will point out when we talk abou t
20 specific cases that you've alr eady seen some of these
21 today in the FDA presentation.

22 When an 800 number phone call or lette r
23 comes in, it goes first to Consumer Affairs. The y
24 sort out the difference between inquiries about th e
25 product, just comments about t he product, and then if

1 there's an adverse event, it goes to the Medica l
2 Department for evaluation and analysis and submission
3 to FDA.

4 Between 1992 and 1997, for all contexts,
5 for all products, McNeil received over 759,00 0
6 contacts. That means calls or letters. 71,000 o f
7 those were about pediatric analgesic/antipyreti c
8 products, and nearly 56,000 of those were abou t
9 children's liquids or infant's drops, the product s
10 we're primarily focused on today.

11 Of those, 53,654 were inquirie s, and 2325
12 were adverse event reports. Next.

13 Go give us some perspective then on - -
14 first on the inquiries, and then we'll look at th e
15 AEs. Among the 53,000+ inquiries that we receive d
16 about children's liquids and infant drops over tha t
17 five-plus year period of time, nearly 5500 of thos e
18 were dosing inquiries, and the best we can we try to
19 code what the nature of those inquiries are.

20 Many of these are not age-specific or the y
21 are questions about things like can we use tw o
22 products at the same time, particularly th e
23 alternating dosing issue between the two differen t
24 forms in the marketplace; how do we convert fro m
25 milliliters to teaspoons, etcetera; but where we coul d

1 identify data about age-specificity, what you see is
2 that we have 2530 calls asking about dosing fo r
3 children under age two, compared to 351 calls abou t
4 dosing for children 2 to 11.

5 That seems to be no surprise to us, o f
6 course, since people can get the dosing for children
7 ages 2 to 11 from the package labeling. They cannot
8 get it for children under age two, but the fact i s
9 that nearly half of all the dosing inquiries that we
10 receive are about how to dose kids under age two.

11 Many more could be, in fact. We jus t
12 could not identify that that's exactly what they were
13 about.

14 The other question is how many questions
15 do we get about whether to use a dropper or a teaspoo n
16 or a dosing cup for a product other than for which it
17 is intended. We had 110 inquiries about that. 10 4
18 times somebody asked about use of a dropper for th e
19 children's liquids, and these are usuall y
20 circumstances where the parent already has the infant
21 drops, has a dropper in the ho me. They've run out of
22 drops. Now they go buy the elixir, but they lik e
23 using the dropper. It's easy to administer to a smal l
24 child. They want to continue to administer it tha t
25 way. Can they use the dropper with the liqui d

1 product.

2 Of course, we tell them that's not the
3 right way to do it, to use the right product for the
4 right dosing device, but that seems to be the most
5 frequent call about this issue.

6 We did receive six calls asking how to
7 measure teaspoon amounts for infant drops. We had no
8 questions about whether to use the dosing cup that
9 comes with the product for administering infant drops
10 during this period of time. That's a lot of
11 inquiries, a few about these issues.

12 Now let's turn to the adverse event
13 reports. Several things probably to put this into
14 perspective: First of all, a couple of slides ago I
15 gave you 5325, and there's some of you here who would
16 like to match exact numbers and to see if these
17 numbers add up.

18 They don't exactly. There were about 50
19 of those that involved adolescents or adults using the
20 liquid products, and those are not included here.
21 We're really talking about children now rather than
22 just reports of adverse events.

23 The kinds of numbers we're talking about
24 are over different time frames. For acetaminophen
25 we're reporting 1992 to 1997, because that's the

1 period of time for which NDMA did their survey, and
2 acetaminophen was in the marketplace that entire time .

3 I pointed out earlier it was first
4 approved as a liquid in 1955 prescription, and drops
5 in '57, and OTC in '59. So both of them OTC in '59,
6 but we're only looking at the period of time from '92
7 to '97.

8 Then for ibuprofen, we're looking at the
9 period of time from '95 to '97. Now children's
10 ibuprofen products were approved as prescription
11 products in 1989, OTC in June of '95. So it's really
12 basically just two years for the liquids, and the
13 drops were approved in June of '96. So it's about one
14 year for the drops product.

15 So during that period of time we have a
16 much larger database to draw from for acetaminophen.
17 We estimate about 4.7 billion doses shipped. Bill
18 showed you packages shipped. You can -- There's that
19 difference in there -- versus 300 million doses
20 shipped for the two. Okay.

21 Now for acetaminophen there were 132
22 nonserious reports during that period of time, of
23 which nine involved somebody saying that they used a
24 teaspoon to administer infant drops, and we're going
25 to talk about those cases so you can see what they're

1 about.

2 There were 30 serious adverse event s
3 reported during that period of time, of which tw o
4 involved teaspoon use for infant drops, and we'r e
5 going to focus primarily on those.

6 For ibuprofen there were only -- Well ,
7 there were 900 nonserious adverse events reported, bu t
8 only three that involved teaspoon use for infan t
9 drops. That's, of course, over a much shorter tim e
10 frame, but there were none serious in that category,
11 though there were 13 serious a dverse events for this.

12 Okay. Next slide. This is sort of th e
13 heart of what our experience is. These are th e
14 adverse event reports, acetaminophen 1992 to 1997, th e
15 11 total cases where teaspoons were used to administe r
16 infant drops, as reported to us.

17 We've arranged these not by year but b y
18 age, so you could get some perspective on the age of
19 the child involved. You see that the youngest child
20 is two months. The oldest child was two and a hal f
21 years, and you can see the ranges as they go up.

22 This is the reported dose. This is what
23 a parent said. For example, t hey were told to give a
24 quarter of a teaspoonful. They gave one dose, an d
25 that's for one day. So that's just a single dos e

1 excessive dose.

2 Here is one teaspoonful given as drops .
3 They gave four to six doses a day over two to three
4 days. Here is one teaspoonful given every four hours
5 over about a four-day period. So that's what you see
6 up there.

7 If you tried to summarize this , the range
8 of dosage administration here ranges anywhere from two
9 to eight times of the recommended daily dose in terms
10 of milligram amounts when the drops were given in
11 these volumes.

12 In this particular case, this nine-month-
13 old, it was approximately five to eight times the
14 recommended daily dose. It depends on whether you use
15 four or six doses a day that was given. In this
16 particular child it was five times the recommended
17 dose, and in this child it was approximately three
18 times the recommended dose -- I'm sorry, that one
19 right there.

20 Now the two children identified here, the
21 14-month-old and the 19-month old, are the two that
22 were labeled as serious. They were both hospitalized .
23 This one is the one you saw earlier that FDA reported .
24 This is a child who was given a teaspoon -- who was
25 told to give a teaspoonful of Tylenol and used drops

1 instead of elixir, used up about four bottles worth
2 and over a period of time, and did have a liver
3 transplant, recovered.

4 That's also the case that you've probably
5 heard most of the publicity about, if you've been
6 looking at any recent publicity.

7 This other case, the 19-month-old child,
8 received a teaspoon, got four doses which amounted to
9 a little over 160mg per kilogram, reported that to
10 their physician. The physician recognized that it was
11 in excess of the threshold level for taking action of
12 150mg per kilogram, hospitalized the child, had them
13 receive acetylcysteine.

14 The child did not have symptoms of
15 toxicity, and no liver function test abnormalities
16 developed, but because the child was given NAC, it's
17 not certain whether they would or would not have
18 developed toxicity. This was a threefold dose. This
19 was a fivefold dose.

20 Now we did have this one five- to
21 eightfold dose. The rest of them basically had no
22 symptoms. One reported they had an upset stomach and
23 vomited, and another preexisting stomatitis. So if
24 you look at the actual adverse event reported, it will
25 say stomatitis.

1 So that's the experience here. Wha t
2 really is striking to me is that most of these cases
3 are under age two. Nine out of the 11 cases involve
4 children under age two where there is not currentl y
5 dosing instructions on the label.

6 Let's look at the next one, because even
7 though we have limited experience for ibuprofen ,
8 because it's relatively new, w e only have three cases
9 where teaspoons were used for infant drops. Two o f
10 the three cases also were under age two, one three -
11 year-old.

12 In this particular case they were give n
13 doses approximately twice the recommended daily dose,
14 and the events we considered to be nonserious, limite d
15 outcomes, a little diarrhea, upset stomach, som e
16 drowsiness. This seven-month-old is the case that was
17 presented by FDA this morning also in that database.

18 Okay. That basically provides you wit h
19 the detail in our database to give you what I think i s
20 a different perspective, a dif ferent look at the same
21 data; but I hope it provides you some -- a bette r
22 perspective.

23 Forty-six percent of the dosin g inquiries
24 we receive involve asking for dosing information for
25 children under age two. These AE reports, five and a

1 half years for APAP, two years for IBU, 5 billion
2 doses shipped, 14 reports involve teaspoon amounts of
3 infant drops. Not a large number of cases like this
4 reported to us, but nonetheless, there were two
5 reports of serious outcomes, I think enough for us to
6 at least consider how we can do something about this,
7 and 11 of 14 AE reports used teaspoon amounts.

8 Where they were using teaspoon amounts in
9 children were children under age two. I think a lot
10 of people have said that. I just want to reemphasize
11 it. This is clearly an area where we think then that
12 adding dosing directions offers a significant benefit
13 for consumers.

14 Whether it's a first time mother with a
15 first time fever or whether it's a parent who's had
16 many children and lots of experience with treating
17 fevers, we think that the availability of the correct
18 dose at every use is the right thing to do.

19 We think that, not only that, there's the
20 ability to reinforce or validate health professional
21 instructions if that dosing is on the label.

22 Thank you.

23 DR. SOLLER: Thank you, Tony. What I'd
24 like to do now is to turn to our concluding remarks
25 and our recommendations on labeling and packaging.

1 I'll start by a brief commenta ry on the rationale for
2 drops and liquids, follow that by the specifi c
3 recommendations for pediatric labeling and packaging
4 for OTC products, and a brief summary.

5 Next overhead.

6 In terms of the drops and liquids, it' s
7 not just convenience. It's successfully administerin g
8 the dose. For drops, infants have difficulty sipping
9 from a cup, easier placement of the drop in the side
10 of the mouth or on the top, and the volume is smaller .
11 It's not an ml. for a six-to-12-month old. So on e
12 squirt, easier to swallow without aspiration or loss
13 from overflow from the mouth.

14 With kids, older children use cups .
15 Children move from dropper to cup. They need a
16 transition delivery system. In terms of viscosity the
17 difference in the drops and the liquids, the thinner
18 solution is easier to pour, especially when you ar e
19 giving somewhat large volumes.

20 We also think there is a benef it in terms
21 of potential enhanced accuracy, because with a les s
22 concentrated liquid, as you're dosing in large r
23 volumes, you have less chance for error; and finally,
24 the less concentrated suspensi ons are more palatable,
25 especially when the child is t aking multiple sips out

1 of a cup.

2 In terms of our recommendations fo r
3 pediatric labeling and packaging, I'm going to first
4 talk about the recommended dosage schedule, the n
5 specific elements for additional labeling an d
6 packaging, and I'll conclude in terms of how putting
7 all these elements together can help in th e
8 discrimination between product types.

9 Well, here we have acetaminophen an d
10 ibuprofen. This is the establ ished dose range that I
11 mentioned earlier, 10-15mg per kilo, 5-10mg per kilo,
12 and this is the concentration of the drops and th e
13 liquid shown here for acetaminophen and ibuprofen.

14 We have the age breaks and, if you start
15 from two to three years of age, and this 24-25, this
16 is what is currently on OTC labeling. We have fou r
17 months here in this particular slide. There's a
18 footnote here. We have come into this meetin g
19 recommending dosing down to at least six months o f
20 age.

21 This four months here reflects the four-
22 month age that's been recommended as a lower age limi t
23 in professional labeling sched ules that have been put
24 out by companies to help professionals.

25 This column shows the drops and liquids,

1 dropperful amounts for ibuprofen and acetaminophen and
2 cup in terms of the tsp demarcation on the cup for
3 ibuprofen and acetaminophen, and there are two points
4 that I want to make here.

5 The first is that we would recommend that ,
6 in terms of the unit change as you ascend in weight or
7 age, that they be similar for ibuprofen and
8 acetaminophen for the drops product, and be similar
9 for ibuprofen and acetaminophen for the liquid s
10 product.

11 The second point I want to make is that
12 FDA does have approved dosage ranges, the 5-10mg per
13 kilogram for ibuprofen, and 10-15mg per kilogram for
14 acetaminophen. For ibuprofen it goes down to two
15 years of age, and for the prescription product down to
16 six months of age under the NDA and, of course ,
17 there's a tentative final monograph for acetaminophen
18 that recommends OTC down to two years of age, but
19 there is no Rx dosing schedule that is demarcated with
20 age breaks or weight breaks as we're showing here.

21 So providing this type of schedule, we
22 think, would fill this particular gap. We don't think
23 the label should be silent any longer in terms of
24 information that could be useful to the health
25 professional as well as the consumer.

1 I'd like to turn now to specific elements
2 of the additional labeling -- in terms of additional
3 labeling and packaging that we're recommending. We
4 have five points.

5 We think there should be the continued
6 availability of OTC drops and liquids. Next slide .
7 We think that the dropper and the cup should be
8 calibrated, required to be calibrated, for the single
9 ingredient OTC antipyretics and analgesics, and they
10 should be required to be in the box for each
11 particular product.

12 Now it's our understanding, in reviewing
13 the members, that we think all members, certainly all
14 the major brands, are reviewing this for anecdotal
15 reports in the marketplace, that there are some
16 products where that is not the case. We think that
17 should be required, and it would bring, certainly ,
18 uniformity across the NDA monograph products as well
19 as across the marketplace.

20 Third, we think there should be
21 established standard elements of directions of use for
22 NDA and monographed OTC analgesic/antipyretics. Next
23 slide. I'd like to run through these.

24 Specifically, we think there should be an
25 itemized numerical list under the directions of use

1 for the NDA monograph single ingredien t
2 antipyretic/analgesics, depending upon th e
3 formulation, possibly -- some have this; not all o r
4 not all need it -- but "shake well before use," i f
5 that's needed from a formulation standpoint, an d
6 instructions for use, the chart, use weight, i f
7 possible. If not, then use the age listing ,
8 instructions to use the enclosed measuring cup ,
9 instruction on hourly and dail y dosing, and finally a
10 standardized table format for the schedule where the
11 standardized table heading tha t would be on the order
12 of dosing chart, use only enclosed -- and I have the
13 brackets here -- device. It would be "use onl y
14 enclosed cup" or "use only enclosed dropper."

15 Next. Now this is found in yo ur handout,
16 and I will also be referring to Section 6 later, whic h
17 is a mock-up label that we've done. This particular
18 portion is for acetaminophen drops. This is a n
19 example of directions for use that might be created,
20 thinking about FDA's proposed new format for th e
21 information panel.

22 Here we have "Shake well before use. Fin d
23 the right dose in the chart below. Use the weight's
24 dose. Otherwise, use age. Use only the enclose d
25 dropper to dose. Do not use with any other dosin g

1 devices. Repeat every four. Do not use more than
2 five times a day."

3 Again, the standardized heading here, a
4 dosing chart, "Use only the enclosed dropper" and then
5 optionally a direction on how to use the dropper and,
6 depending upon the formulation and the specifics of
7 how it's presented, if it's a dropper that is
8 presented alongside of the bottle that has a separate
9 cap and instruction to replace the original cap to
10 maintain child resistance.

11 Moving on to 4, we would recommend the use
12 with an icon of the dosing device on the principal
13 display panel. Could I have the next overhead? Here
14 is the example of three products. This is the drops.
15 This is a liquid, and here we have a suspension
16 liquid.

17 These two products, which come from the
18 same company, have the dosing device, the cup here and
19 the dosing device, the dropper prominently, the shape
20 displayed on the panel, and this store brand here does
21 not have that, and we think that would be an added
22 feature in terms of helping discrimination between
23 products.

24 Finally -- and although I've mentioned
25 consuming here, on reflection I think this is more of

1 a health professional problem and harkens back to some
2 comments that I made on July 14th to the
3 Nonprescription Drug Advisory Committee on OTC
4 labeling, but we also think that there should be
5 support for the recently proposed label format for
6 active ingredients with the milligrams per dosage
7 units, however that's expressed, but the milligram
8 per dosage unit as a concept would be first on the
9 information panel.

10 This is a mock-up label. It's down in
11 Section 6 of the blue handout that we gave you. Just
12 as a caveat, this is not a label that currently exists
13 on the marketplace. However, it was constructed using
14 many, if not most, of the elements that FDA has
15 proposed in the February 1997 Federal Register OTC
16 label content and format, and there are several other
17 things that we've, obviously, added in, as we've
18 thought about this particular issue.

19 The last point on our recommendations
20 related to the active ingredient with the milligram
21 per dosage first, and that was here and here, first on
22 the information panel. We think that this is
23 important for the consumer in terms of helping to
24 educate the consumer what these products are for and
25 making product comparisons in terms of self-selection ,

1 but this is probably more of a medication error issue .
2 This is probably more of a health
3 professional issue in terms of providing this
4 information in this place uniformly so that, when a
5 mother is calling the pediatric office and is speaking
6 to the triage nurse and she says, well, turn it over
7 and read to me what you have on that information
8 panel, it is right there in a uniform place; or if
9 it's a poison control call, it can have this
10 information that can be conveyed very easily over the
11 phone.

12 So as I say, that last recommendation
13 really reflects more of a medication error, more of a
14 health professional to consumer interaction as opposed
15 to perhaps what might be a consumer preference of what
16 they would like to have first on the label.

17 Just moving on briefly, this is the dosing
18 direction that I showed you earlier, again reflecting
19 "use only enclosed dropper to dose; do not use with
20 any other dosing device," and then a comment on this
21 heading: "Use only the enclosed dropper."

22 Again, these products are used multiple
23 times during the course of use in the household out of
24 the medicine cabinet, and it is most likely that, as
25 a parent would come back to dose, that they will move

1 to this section. Having read the label, they will
2 move to this for the second, third and fourth ,
3 whatever, dose or maybe the next episode, and
4 reflecting "Use only enclosed dropper" within the
5 title of that table, we think, would be important as
6 well.

7 This is the grape flavor of that
8 prototype, the same points to be made. We don't need
9 to go through this.

10 So in summary for the recommendations ,
11 continued availability of the drops and liquids for
12 the reasons that we've given, and reflecting the
13 information that we've provided on the safety of the
14 products; requiring a calibrated dosing device for all
15 single ingredient antipyretic/analgesic liquids and
16 drops; establishing standard elements within the
17 directions of use; recommending use of an icon of the
18 dosing device on the PDP, the principal display panel ,
19 and then supporting this last issue that we went into
20 in terms of active ingredient first on the information
21 panel.

22 I'd like to turn now briefly to think
23 about how this might look in the marketplace, and I
24 have a listing of the discriminating elements that
25 would be obtained with this kind of approach.

1 Of course, the size and shape of the box
2 and the bottle are distinguishing unto themselves ,
3 the dosing devices as well. Icons on the dosin g
4 device on the PDP, helpful to discriminate between the
5 two. Product identification, brand name, whether it' s
6 Advil, Tylenol, infant drops or children's Tylenol ,
7 children's Advil, infant -- ra ther liquid suspension.

8 Standardized directions of use, th e
9 numerical list, the table with the standard heading,
10 icons of the devices on the PD P, and again the active
11 ingredient and milligram dosing first on th e
12 information panel, and we know that some companie s
13 also add in "concentrated" for the drops as a n
14 additional discriminating feature.

15 So in summary, acetaminophen an d
16 ibuprofen, excellent safety records for the liquid s
17 and drops; the clinical data, abstract of information
18 that we provided as well as the market experience, th e
19 information that we gather sup port pediatric practice
20 recommendations for an lower O TC age limit, we think,
21 of at least six months for label directions for both
22 ingredients.

23 The additional packaging and labelin g
24 recommendations that we have, we think, are a
25 comprehensive approach. They provide a cohort o f

1 discriminatory elements to the label. It will bring
2 consistency to the marketplace in terms of the NDA and
3 monograph products, and also reflecting on the dosing
4 schedules we've showed for acetaminophen and
5 ibuprofen, all in a consumer friendly format, standard
6 elements in the directions of use, the table, the
7 numerical list, the icons and so on.

8 So what should be the lower age limit? At
9 least down to six months, and I put this slide in just
10 to reflect again what we're talking about is this
11 example: A parent with a 12-month-old, say, who's at
12 the doctor's office or maybe communicating over the
13 telephone with a triage nurse, gets a recommendation
14 for dosing an OTC fever reducer, purchases the product
15 or has it at home, and then with the new labeling
16 would be able to confirm or even question health
17 professional advice on the dosing.

18 In terms of the benefits of dual
19 availability of a liquid and drops with the
20 overlapping dosage schedules, remembering the basic
21 safety of the acetaminophen and ibuprofen, the
22 overlapping schedules would provide clear directions
23 to the parent on the appropriate use of both of these
24 products.

25 They would meet the administrative

1 requirements of the infants, the sip and the -- excuse
2 me, we tend to suck liquids, and the children who sip ,
3 and they would allow parents to purchase one product
4 to treat children of different ages who are sick
5 simultaneously.

6 What this comes down to is we think the
7 consumer is better off with more information rather
8 than less information.

9 What I'd like to do now is to turn this
10 over to Q&A, Dr. D'Agostino, with Dr. Kauffman, Dr .
11 Lesko, Dr. Snodgrass, Dr. Temple and Dr. Weisman
12 available for Q&A.

13 CHAIRMAN D'AGOSTINO: Weisman is not
14 making a presentation?

15 DR. SOLLER: No.

16 CHAIRMAN D'AGOSTINO: Eric, why don't you
17 begin?

18 DR. BRASS: Since Dr. Soller is at the
19 podium, I have a couple of questions, and most of them
20 have to do with the utility and interpretability of
21 the tables.

22 If I look at the table you have in your
23 mock-up --

24 DR. SOLLER: I'm listening. I'm getting
25 the book.

1 DR. BRASS: -- that you showed as a slide
2 in Section 6, as I look at it, I'm reminded by a
3 recent article in the late press that surveyed what
4 percentage of high school graduates could read a bus
5 schedule, and the number was, as all such stories are,
6 quite embarrassing.

7 Therefore, when I extrapolate that kind of
8 data to looking at the table you have on your mock-up,
9 I'm concerned at its complexity and interpretability.
10 For example, if I look at the righthand column, the
11 information in parentheses goes from .8ml, then the
12 next line is $.8+0.4$ --

13 CHAIRMAN D'AGOSTINO: Can that be put up?

14 DR. BRASS: Yes, there was a slide of
15 that.

16 CHAIRMAN D'AGOSTINO: Yes, it might be
17 helpful so the audience can see what we're referring
18 to.

19 DR. BRASS: No, I understand it. Then two
20 times 0.8 with the dropper number. Again, do you have
21 any information as to how consumers will use a table
22 constructed like this?

23 DR. SOLLER: We don't have any specific
24 information, to my knowledge, in terms of label
25 comprehension studies, and I don't know whether there

1 were any done by the company in terms of the approval
2 of the pediatric ibuprofen; but let me comment in two
3 fashions.

4 Number one, we are talking about the
5 concept here of standardizing in an interpretable way .
6 We're together on that.

7 DR. BRASS: Right.

8 DR. SOLLER: I don't have the information
9 to say, yes, 80 percent understand it, but I would
10 also say, reflect on some of the information that
11 you've seen today, because this is basically the
12 schedule that's out there with the vast majority of
13 products.

14 Tony, do you have anything to add on that ?

15 DR. TEMPLE: My only comment is that
16 you're looking at the larger table. This is
17 consistent with really what's on the label, rather
18 than saying .8+.4.

19 DR. BRASS: Well, that's what I was trying
20 to get a sense of.

21 DR. TEMPLE: This is what's currently
22 there. This was just a way of helping people add up
23 in their head, but that's currently what's out there,
24 and our experience is that people know how to use
25 these.

1 DR. BRASS: Again, that's in terms of our
2 answering the questions that have been posed to us by
3 the FDA. Any information as to what labeling
4 structures work would obviously be very helpful.

5 I have a couple of questions for Dr .
6 Temple, if I might, and again I am not a pediatrician .

7 Clearly, the products are being used by
8 consumers with children under age two. Based on either
9 the calls to your hotline -- your 800 number or calls
10 to -- or the adverse event reports, do you know where
11 consumers are actually getting the information they
12 use to dose children under two currently? Are they
13 consulting physicians? Are they extrapolating? Are
14 they guessing? What are they actually doing?

15 DR. TEMPLE: I don't think I can tell you
16 specifically from our data where they're getting the
17 information. Do they call us to get the information?
18 Our experience is that they usually call their
19 physician, but then they try to remember after that,
20 and they need to be able to have reference information
21 for subsequent use.

22 I think at least a portion of the time ,
23 they are trying to remember what they were told
24 before.

25 DR. BRASS: And along the same -- the

1 differentiation between age and weight in dosing ,
2 experience as to how consumers resolve discrepancies
3 in the two columns. Do they use weight preferentiall y
4 to age or do they always use age?

5 DR. TEMPLE: You know, originally labelin g
6 for these products had only ag e breaks, and it's only
7 been in recent times that recommendations around the
8 use of weight breaks have been there. The rationale
9 for that has been that you can provide a slightl y
10 tighter dosing schedule by using the weight breaks.

11 These weight breaks don't exactly matc h
12 the age breaks. That was our long discussion i n
13 January 1995, and I brought all my slides for that ,
14 but I'm not going to show any.

15 DR. SOLLER: He can speak at 60 words a
16 minute.

17 DR. TEMPLE: But our experience now i s
18 that by putting on the label "use weight, i f
19 possible," they will look and see what the weight is.
20 If they don't know the weight, we say use age. I n
21 fact, either one is largely interchangeable in terms
22 of the dose they get. There's not that muc h
23 discrimination between the actual doses they get ,
24 either way.

25 DR. BRASS: Thank you.

1 CHAIRMAN D'AGOSTINO: Earl?

2 DR. SILVERMAN: Similar question: When
3 weight is the preference over age, why does age appear
4 before weight? I would have thought that, if you want
5 people to look at weight first, it should appear on
6 the lefthand column rather than the center.

7 As I look at this, I would read initially ,
8 well, if I have a six-month-old, well, I'll probably
9 give him one rather than reading the weight first.

10 DR. TEMPLE: I'm not sure there's a
11 specific reason for that. I understand that right now
12 only age is available through the monograph as part of
13 the label. I think I have that right. So we're
14 tending to go with the standard format first, offering
15 the weight as an alternative. Down the road, it could
16 be reversed.

17 DR. SILVERMAN: You are recommendin g
18 weight being the primary one on your label, and now
19 you're just confusing people. I mean, that's part of
20 my -- The issue here is I think there's some
21 confusion. If you say somebody should go by weight --
22 if possible, use weight to dose; otherwise, use age.
23 That's what I have in front of me.

24 DR. SOLLER: Let me offer a perspective,
25 if I can. As Tony had mention ed, we started out with

1 age breaks, and then there was a move to the weigh t
2 breaks, and I think that gives you the left to right,
3 you know, question culture of how we develop things.

4 I think, in terms of finding the dose on
5 the chart below, as the person is walking through the
6 directions to say whether one is before the other, and
7 I don't think we have a particular strong opinion tha t
8 one should be before the other; but I am conveying a
9 couple of points to consider as you come to you r
10 deliberations on this.

11 If possible, use weight first; otherwise,
12 age. Get that piece of information, and then the y
13 start using the chart and looking at age and weight.
14 Okay? My feeling is most people will look -- afte r
15 reading that, they will look at the weight, whethe r
16 it's on the left or right. I don't personally think
17 that that's going to be much of an issue, one way or
18 the other, but again we don't have the specifics o n
19 that kind of nuance.

20 DR. SILVERMAN; And can I just ask Dr .
21 Lesko a question then.

22 When I was looking over your data and you r
23 primary outcomes were hospitalizations for G I
24 bleeding. Well, a common side effect, certainly, of
25 ibuprofen is not -- is vomiting and gastritis ove r

1 bleeding.

2 When I added up the numbers, if I'm right ,
3 there were nine -- I'm sorry, ten adverse event s
4 requiring hospitalization for gastritis and vomiting
5 for ibuprofen versus only two for acetaminophen, when
6 you add up GI bleeding plus vomiting and gastritis.

7 Have you analyzed the data taking tha t
8 endpoint, and why did you not include gastritis in th e
9 adverse event cause of hospitalization?

10 DR. LESKO: I don't think it's fair to ad d
11 the data that way. The three GI bleeds -- two of the m
12 had been vomiting prior to their bleeding. So the y
13 would have gotten the label of vomiting and mayb e
14 recounted in the vomiting/gastritis category. So we
15 can't -- Those are not mutuall y exclusive categories.
16 We can't simply add them in that way.

17 DR. SILVERMAN; Yes, but it's not hard to
18 have a hierarchal system. I mean, one can examine th e
19 data and look at it, but I'm not sure why gastriti s
20 was eliminated, gastritis being far more common, and
21 a serious complication, of ibuprofen versus G I
22 bleeding, requiring hospitalization.

23 DR. LESKO: Well, gastritis was included
24 in the analysis that I presented here. It was no t
25 included under the primary outcome events a priori

1 when we designed the study.

2 DR. SILVERMAN: That's my question. Why?

3 DR. LESKO: Because GI bleeding was the
4 more serious event that we were designing the study to
5 make sure we captured all of those events. That's the
6 reason. We captured information on gastritis. You're
7 right. Gastritis was more common than hospitalization
8 for GI bleeding. We present that data in 1995 and
9 again today.

10 DR. SILVERMAN: One more question along
11 those lines. When you talk about creatinine levels,
12 differences between the two groups, and you say when
13 you do your multi-variate analysis and you put in
14 dehydration, again similarly, dehydration is going to
15 be -- could be a side effect of the medication
16 secondary to vomiting.

17 So when you do your analysis and eliminate
18 dehydration, it's not an independent variable. That's
19 my only comment on that.

20 DR. LESKO: Well, when we look at the
21 creatinine levels in our original presentation in
22 1995, we focused on children who were dehydrated. We
23 felt that the exposure to dehydration would place
24 these children at the greatest risk, and we saw no
25 difference by medication in that category.

1 We then subsequently analyzed the data
2 collecting all cases, found no differences in all
3 children, regardless of whether they were dehydrated
4 or not, in the entire dataset. We've replicated that
5 analysis in children under age two, and there is no
6 difference in the creatinine levels, regardless of
7 hydration status, as reflected by discharge diagnoses.

8 So that dehydration, while it was included
9 in the multi-variate model in one form of the model
10 and not in another, it is included there for a
11 reassurance that we've taken it into account, but when
12 we look at the means, there is no difference by
13 dehydration status.

14 CHAIRMAN D'AGOSTINO: Dr. Pucino.

15 DR. PUCINO: Yes. For Dr. Lesko again :
16 The question on the fever study is how much education
17 -- First of all, how much education did the parents
18 have in regards to how to dose; and in terms of the
19 adverse effects, were any of those related to
20 noncompliance with dosing?

21 DR. LESKO: Let me try to answer the
22 second question first. For the principal outcome
23 events that we saw, none of those children had an
24 excessive dose. So that there did not appear to be
25 any misinterpretation of the dosing instructions that

1 might have contributed to those occurrences.

2 The specific instructions on how to dose
3 the medication: There was a weight-specific label on
4 the product, and the instructions that we provided to
5 our enrolling practitioners was to identify the dose
6 category that the parents should use, using the
7 product.

8 We didn't sit in those 1700 practitioners'
9 officers. So I don't know how that was actually done
10 for 84,000 children. I, unfortunately, can't answer
11 the question of how much time was spent to educate the
12 parents about what specific dose to use.

13 DR. PUCINO: So the clinicians basically
14 dosed the product?

15 DR. LESKO: The clinician provided the
16 education. The dosing instructions were on the label,
17 but the physician was instructed to dose based on
18 weight, and then how that was actually conveyed to the
19 parent was at the discretion of the physician.

20 DR. PUCINO: And just one other question.
21 In terms of any type of liver toxicity, elevation,
22 transaminations, was that even assessed?

23 DR. LESKO: By looking at hospital
24 discharge diagnoses for the entire 84,000 children,
25 there were no cases of liver failure or hepatic

1 necrosis identified. There wa s only one child with a
2 diagnosis of hepatitis, and that child had a
3 concurrent diagnosis of mononu cleosis, which may well
4 have been the contributing factor.

5 DR. SOLLER: Dr. Pucino, if I could just
6 make a comment, just reflecting on how we were using
7 the different databases that we're presenting to you
8 today. We included the Lesko, et al. information in
9 terms of considering lower age limit and the basi c
10 safety, and then separated that from a separate study ,
11 two studies, the surveys, in terms of looking at the
12 teaspoon amounts for the dropper product.

13 So they are just not to confuse one ,
14 trying to show the other. So they're separated.

15 CHAIRMAN D'AGOSTINO: Daniel, you had a
16 comment? Evidently, you don't have it anymore. Mary
17 Anne?

18 DR. KODA-KIMBLE: Yes. My question is fo r
19 Dr. Temple. Were you -- Can y ou tell me, do you know
20 how many queries you had about use of adult products
21 for children?

22 DR. TEMPLE: I'm not sure how those have
23 been coded. I have somebody here who's an expert. D o
24 you have any way to know that number? We can look at
25 that.

1 We were looking at -- These were inquiries
2 about the use of these drops and liquids. We do get
3 inquiries about -- I know we get inquiries about the
4 use of adult products in children. I don't know how
5 to compare those numbers.

6 DR. KODA-KIMBLE: The reason I'm asking is
7 I'm impressed by most of the -- I think a lot of the
8 major events are use of adult products in kids ,
9 inappropriate use of adult products in kids.

10 I'm also concerned about adolescents, and
11 I know it's very dangerous to be thinking about any
12 one case, but I'm still -- In my mind, I'm hearing
13 this case of the 11-year-old 31kg kid who was given
14 extra strength Tylenol, and you see the weight ranges
15 in adolescents.

16 I'm wondering how -- and I wasn't on the
17 committee before. So I don't know the history of how
18 we got the breakdown at age 12 you can start using
19 adult doses, and I'm impressed by the kinetic data
20 that correlates appropriate dose with weight.

21 So, you know, can you give me a little
22 history on that?

23 DR. TEMPLE: I can't do that history very
24 well, but let me make a couple of comments. The
25 labeling for adult products like extra strength

1 acetaminophen says not for use in children under age
2 12. So it's on the label right now.

3 Unfortunately, people don't follow that
4 instruction sometimes. Secondly, the 12-year-old
5 level was picked many, many, many years ago. I think
6 Debbie tried to describe that, but I just forgot the
7 year you talked about that, but it's clearly been
8 maybe a couple of decades or more as the cutoff
9 between adult products and children.

10 It is an argument for weight based dosing
11 as a way to approach this, to a certain degree.

12 DR. KODA-KIMBLE: My other question -- I
13 don't know whether you have any insight on this -- is
14 to what extent are pediatricians specifically educated
15 regarding these two products, the droppers and the --
16 Do they know? How familiar are they with these
17 different concentrations in these products and to warn
18 parents about carefully selecting the products?

19 DR. TEMPLE: Well, most manufacturers
20 provide education materials, and we pass out tens of
21 millions of pieces of information every year, but
22 clearly, in some of these cases, they weren't specific
23 enough. They would say a teaspoonful of the brand
24 name and not specify the form.

25 So we think we could continue to educate

1 health practitioners to do an even better job o f
2 communicating this properly.

3 DR. SOLLER: Dr. Koda-Kimble, we've ha d
4 discussions on our task group relative to the health
5 professional education component of this, and had not ,
6 in terms of putting all this together, come to grips
7 to how that would be done; but I think what Tony i s
8 saying in terms of -- is reflective of McNei l
9 practice.

10 Other companies, particularly the bran d
11 name companies, are providing a lot of information .
12 As we might put more information on the label in a
13 better form, that also would get out into th e
14 mainstream.

15 CHAIRMAN D'AGOSTINO: Ralph, you have som e
16 experience. Do you want to just comment on that, as
17 a somewhat practicing pediatrician?

18 DR. KAUFFMAN: Somewhat practi cing, yeah.
19 I don't have any specific information for you. Again ,
20 it's anecdotal.

21 I think physicians have that i nformation,
22 and in the back of their mind they're aware of it, bu t
23 at the moment they are answering the phone o r
24 returning the call, they don't think about i t
25 consciously. So my guess is that most of the tim e

1 these errors on the part of giving advice, of no t
2 being specific, is a matter of omitting informatio n
3 they know, but they just don't think to convey it at
4 that moment in time.

5 DR. SOLLER: Certainly, if we had th e
6 information on the label and that telephone call i s
7 being made, and the physician says be sure you rea d
8 the label for dosage, it might reinforce that.

9 CHAIRMAN D'AGOSTINO: Eric?

10 DR. BRASS: As we consider the safet y
11 profile of these compounds in children under the age
12 of two, one is struck by the relative paucity an d
13 small numbers involved in the studies, and even in Dr .
14 Lesko's very well conducted study the actual numbers
15 are relatively small.

16 Therefore, the context of what we kno w
17 about the agents becomes more important. Again, I'm
18 not a pediatrician, but my rec ollection is that, when
19 infants under two get sick, the risk of dehydration i s
20 greater than in a six-year-old. Therefore, a dru g
21 like ibuprofen, which may be more likely to caus e
22 renal failure in a dehydrated person, that when I loo k
23 at the incidents of creatinines over 0.7 in a
24 hospitalized patient, I see zero percent versus 6
25 percent; and while that may not be statisticall y

1 significant in the context of what we know about the
2 populations and the drugs, it, nonetheless, causes me
3 concern whether or not ibuprofen is as safe as
4 acetaminophen for vomiting children under the age of
5 two.

6 Can anybody comment on how I might be able
7 to resolve that kind of question?

8 DR. SOLLER: I think we had some
9 discussions within our group on that, and Dr.
10 Snodgrass and Dr. Kauffman -- I think you have some
11 comments, Dr. Weisman, and I --

12 DR. TEMPLE: Yes. Let me just preface
13 this. When we did the switch of Children's Motrin in
14 '95, this issue was discussed., and the product was
15 switched.

16 DR. BRASS: I remember. Yes, but --
17 Again, but the two-year-old, now focusing on the
18 under-two for today's discussion --

19 DR. SOLLER: Well, this gets into where
20 you make that break, two, four, six months, and that's
21 what I was referring to in terms of our discussions,
22 and maybe we can take individual thoughts from
23 practice.

24 DR. SNODGRASS: Maybe I can ask the
25 question a different way. Are you asking should one

1 product -- have the age limit lowered and the other
2 not?

3 DR. BRASS: That is a reasonable question
4 from the kind of context I have drawn. Yes.

5 DR. SNODGRASS: Yes. Well, I think the --
6 and I've written a commentary on the pediatric therapy
7 newsletters three or four years ago, addressing this
8 possible issue. So I think the suggestion is there.

9 The absolute rate may be fairly low, but
10 I think it's an area for education of professionals
11 about this, but I think the absolute rate is low. I
12 was actually -- Personally, I was quite surprised by
13 the Boston fever study results. It looked incredibly
14 benign. So I didn't predict that outcome, thinking
15 along the lines you were suggesting.

16 So I think the rate is relatively so low,
17 and if you take a one in 100,000 risk rate or one in
18 1 million risk rate, one in 1 million -- divide your
19 70-year lifespan into one in 1 million parts. That's
20 a half-hour of your life.

21 So you got to put these kinds of things in
22 that perspective, at what risk rate are we. I think
23 it's so low that, although what you're suggesting is
24 correct and there needs to be more study, but in fact
25 as an issue as to whether you would lower it to two

1 months or not, I don't think that would have impact i n
2 a relative risk sense.

3 DR. WEISMAN: I think that, if you look a t
4 all of the studies that have looked at children eithe r
5 down to the six-month marker o r the two-month marker,
6 again that incidence is incredibly low; and if yo u
7 were to add into that one other group of children tha t
8 are admitted to the hospital w ith fever that have not
9 taken either of the analgesics, the incidence o f
10 dehydration and renal failure among that group i s
11 probably higher than we're seeing in either of th e
12 analgesic treatment groups.

13 So that I don't think it's of any grea t
14 significance, and I don't think that there is really
15 any evidence to suggest that either drug woul d
16 increase the risk.

17 CHAIRMAN D'AGOSTINO: Patricia had a
18 question. Then Daniel. Then Lynn and Frank.

19 DR. SOLLER: I think Dr. Kauffman had a
20 remark that he wanted to make.

21 CHAIRMAN D'AGOSTINO: I'm sorry. Please
22 do. I thought you were finished.

23 DR. KAUFFMAN: Just very briefly, I wa s
24 going to say we dealt with this a great deal on th e
25 Boston fever study. I happened to chair the Oversight

1 Committee for that study, and we worried a lot about
2 the renal effects. Particularly, the nephrolog y
3 people on the Oversight group worried about that a
4 lot.

5 We were also surprised as these dat a
6 unfolded, as we met regularly to look without breakin g
7 the blind but looking at the two groups blinded, t o
8 see if there was a discrepancy in outcomes; and w e
9 were, frankly, surprised.

10 The renal effect in this age g roup so far
11 has turned out to be a theoretical worry. Ther e
12 really aren't data in any of the work that's been don e
13 in testing them in fever to substantiate that worry.
14 It's been an issue in the older adult populatio n
15 primarily.

16 CHAIRMAN D'AGOSTINO: Patricia.

17 DR. SOLLER: In the archives of internal
18 medicine in the early eighties , there's a case series
19 of about 20 patients, therapeutic dosing, ibuprofen,
20 all in renal failure. I think about three of thos e
21 are -- Certainly, three of the childhood age group ma y
22 be under age six.

23 Generally speaking, young patients tend to
24 recover renal function compared to the elderly.

25 DR. KAUFFMAN: But there are, as yo u

1 probably know, a handful of case reports in the
2 literature over the last eight years of young children
3 who took one of the NSAIDs in a low volume state and
4 developed reversible renal failure.

5 CHAIRMAN D'AGOSTINO: Thank you .
6 Patricia.

7 DR. McGRATH: I wonder if, in the material
8 provided to physicians as the educational material, if
9 there are guidelines provided about dosing by weight
10 for children under two in terms of current products,
11 and whether it's proposed to provide consistent
12 information in the section where it says "consult a
13 physician" if the minimum age is changed to six months
14 or two months or whatever?

15 DR. TEMPLE: All our educational materials
16 to physicians do already give doses, recommended
17 doses, for them to consider under age two, go down to
18 four months or lower. So they are in there. I even
19 think the Canadian drops already have it on their
20 label. Is that right? Acetaminophen? Yes.

21 CHAIRMAN D'AGOSTINO: Daniel, do you have
22 a comment?

23 DR. LOVELL: Yes, several comments.

24 There was an article provided to us in our
25 review materials by Simon that was pretty sobering ,

1 and that was the fact that in an emergency room
2 setting, when they provide to the parents the product
3 label and their selection of dosing devices and the
4 weight of the child that was measured in the emergency
5 room that evening, only 30 percent of the patients got
6 it right in terms of actually measuring out the dose
7 for their own child.

8 So that it's -- I think we still need to
9 address the fact that there's a large gap here between
10 what we might write down on the label and actually
11 getting the right dose in the child, and I'd like the
12 group to address that.

13 At the same time, I think it's related --
14 Some comments were made about how to improve the
15 actual user friendliness of the dosing cup in terms of
16 more clearly delineating the marks on the dosing cup.
17 As a physician and a parent, I can tell you that is a
18 issue, especially in the middle of the night, because
19 it's not clearly delineated.

20 There was also a comment made about why we
21 get hung up or whatever, continue to use teaspoons on
22 our device, when in fact it might encourage parents to
23 throw your dosing cup out and use the teaspoon or at
24 least passively maybe supports that transfer.

25 DR. SOLLER: Just a comment on the Simon

1 study. We were, frankly, stumped by what to do with
2 that information, and I'll tell you why in a moment.

3 I don't want to reflect -- have my comments reflect
4 the fact that we don't appreciate the need to
5 communicate well and for people to access the
6 information and use it well. That's very important.

7 We were very surprised by the finding that
8 the -- Well, before you nod your head, let me tell you
9 the finding that we were surprised by. That is that
10 they were told the weight of the child, and then in a
11 emergency room setting -- and there are a lot of
12 things going on in that setting -- they weren't able
13 to accurately do the dosing.

14 What I don't know from the study is
15 whether they were really asked to do this on or about
16 the time the child is in the room, before that, or
17 after that when they have a relief and they're not
18 worried about anything.

19 So I think you have a different situation
20 there, and I don't think that that study transfers
21 over into a home setting. I think that's sort of the
22 anomaly of that study.

23 Now insofar as the cup --

24 DR. TEMPLE: Yes. Well, let me make one
25 more comment about it. I talked to Dr. Simon about

1 his study, and he's very amiable about it. He says
2 that there are some concerns, obviously, raised, but
3 the bigger issue, he said, was this wasn't an in-home
4 situation. A lot of people didn't even ask for the
5 labeling when we asked them the questions.

6 So it was not a labeling comprehension
7 test at all or ability to understand it. So there are
8 a whole host of issues. It was again people trying to
9 remember what had happened, and there was a lot of
10 parent guessing. Even though they had it available to
11 them, they didn't use it.

12 That raises a whole set of other issues
13 about it. We do need to encourage parents to read the
14 label every time they take the product. We've just
15 got to say that time and time and time again. So
16 anything you can do to help us with that, we'd love to
17 do. That's number one.

18 Now the dosing cup: There have been
19 recommendations to try to enhance the markers. I've
20 heard that a couple of times. It is just a question
21 of the technology of getting that done.

22 The question about teaspoons versus
23 milliliters: I think the industry practice has been
24 to use teaspoons, because that is the most familiar
25 still to the parent who uses these kinds of volumes.

1 Somehow, as much as we've tried to create metri c
2 thinking, it hasn't got much beyond the healt h
3 professional community, and there not so well.

4 So we just haven't got it into the homes
5 yet. So we're using common terms. We need to work a t
6 that, I think, to help people understand th e
7 comparisons, though.

8 On the label we're saying one teaspoo n
9 equals 5ml. Maybe someday we can make the transition .

10 DR. LOVELL: Well, though, I'm not sur e
11 that it's necessary to put any volumetric measure on
12 your dosing cup. Why don't you just put age of th e
13 child, if that's -- so you can -- the breakdowns are
14 there, rather than volumes.

15 DR. SOLLER: I think that it's possibl e
16 that we have a cup with a lot of information on i t
17 now, that if you look at the teaspoon demarcations an d
18 then thought about, well, do we put weights on there
19 as well, because we have both of those schedules? So
20 that could represent an overabundance of information
21 on the dosing cup.

22 So I think we're trying to keep it simple ,
23 and I think what we're trying to convey are concepts
24 to work on how that might be done.

25 DR. LOVELL: But I still think the point

1 needs to be addressed as to how to make this mor e
2 easily -- or the cup could be more discretely marked
3 so that it's easier to see when you have opaqu e
4 solutions in the cup.

5 The other thing that came up: In you r
6 instructions here, direction number 5 says no mor e
7 than five times a day. Was there a discussion as to
8 whether to use day versus 24 hours, because, really,
9 accurately what you're talking about is five times in
10 24 hours. Correct?

11 DR. SOLLER; Yes. In fact, what I did for
12 that particular part of it was to simply take it off,
13 I think, this label. We did n ot specifically address
14 that within that portion of the presentation, trying
15 to convey the hourly and the daily rate. You may hav e
16 some --

17 DR. TEMPLE: That's just the current FDA
18 language that we've been using for a long, long time,
19 using day rather than 24 hours. It's just curren t
20 standard terminology. You're input, I'm sure they're
21 listening to.

22 CHAIRMAN D'AGOSTINO: Lynn?

23 DR. MCKINLEY-GRANT: I had a questio n
24 about the cold remedies that contain acetaminophen .
25 is there -- In terms of the inquiries that you get ,

1 Dr. Temple, is there -- Do you get a lot of inquiries
2 about the use of the two medications or I'm even
3 wondering with poison control if people suddenly
4 realize, oh, I used both medications. I gave the
5 child Tylenol, and I gave him something with
6 acetaminophen in it, because those bottles tend -- The
7 cold remedies tend not to be clearly marked that they
8 contain -- They will say fever reduction, but they may
9 not boldly state that acetaminophen is present.

10 DR. TEMPLE: Unfortunately, I can only
11 talk about our experience with our own brands. We
12 will get questions about whether you can use two drugs
13 at the same time, and of course, we tell them no.
14 They're labeled. It says "do not use with other
15 acetaminophen containing products." We hope that
16 communicates effectively.

17 We hope that the fact that it's a common
18 brand name maybe communicates effectively, but we
19 don't -- I know from what Dr. Litovitz has said that
20 there are some exposures where concomitantly both
21 products are used, yes.

22 CHAIRMAN D'AGOSTINO: Frank?

23 DR. PUCINO: Yes. A question for Dr.
24 Soller. Realizing these two studies are different and
25 the intent was different, in a controlled environment

1 such as the fever study there were very similar
2 adverse effects between the two products, but looking
3 through the graphics on the NDMA study, it almost
4 appears that the nonsteroidal group had about a four
5 or five times greater incidence of more serious
6 adverse effects. Could you comment on that?

7 DR. SOLLER: You're looking at Tab --

8 DR. PUCINO: Tab 3.

9 DR. SOLLER: -- Tab 3, page --

10 DR. PUCINO: Eight.

11 DR. SOLLER: I'm sorry. I'm now on the
12 same page with you. Could you repeat your question?

13 DR. PUCINO: The question is: Realizing
14 the two studies are different and the intent is
15 different, in a controlled environment the two
16 products appear very similar in terms of adverse
17 effects, in terms of their profiles; but according to
18 this graphic, as best I can understand it, it almost
19 appears that the ibuprofen group had about a four or
20 five times greater incidence of serious adverse
21 effects.

22 DR. TEMPLE: It's a new introduction, and
23 the experience is that during these two years you're
24 going to get a lot more reports with a new
25 introduction than you'll get subsequently. I think we

1 have seen that pattern.

2 We get a very sharp rise of calls to our
3 company, and then we'll taper off after that. S o
4 these rates are not really comparable in terms o f
5 overall rates. You can't make that comparison.

6 CHAIRMAN D'AGOSTINO: Other comments o r
7 questions from the consultants, from the FDA?

8 It's a little after twelve. W hy don't we
9 come back at one. Is there anyone at the table wh o
10 has to leave, say, before three o'clock? So we shoul d
11 be in good time. Let's come back at one o'clock ,
12 please.

13 (Whereupon, the foregoing matt er went off
14 the record at 12:02 p.m.)

15

16

17

18

19

20

21

22

23

24

25

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (1:04 p.m.)

3 CHAIRMAN D'AGOSTINO: We are now goin g
4 into the open public hearing, and there's on e
5 presentation and one letter which is going to be read .
6 Why don't we start off with the presentation, Dr .
7 Philip Walson.

8 Would you please identify yourself an d
9 your affiliation, and if anyone is supporting you to
10 come here.

11 DR. WALSON: My name is Philip Walson. I
12 am Chief of Clinical Pharmacology and Toxicology a t
13 Children's Hospital in Columbus and Professor o f
14 Pediatrics Pharmacology and Pharmacy at the Ohio Stat e
15 University, and today basically I'm representing - -
16 I'm on behalf of Whitehall-Robins, but I should revea l
17 that I have lots of other hats.

18 I've been a consultant to man y
19 pharmaceutical companies that manufactur e
20 analgesics/antipyretics. I am Chair of the USP E
21 Pediatric Panel. I was just made a member of th e
22 American Academy of Pediatrics Committee on Drugs. I
23 run one of the AAPCC poison ce nters that's regionally
24 certified that provided some data.

25 I've conducted 14 clinical trials o f

1 ibuprofen and acetaminophen as analgesics an d
2 antipyretic agents in children, some published, some
3 soon to be published, some perhaps never published.

4 In these -- They've enrolled hundreds of
5 children. Three of them included children under -- a t
6 least as young as six months. I also am a practicing
7 pediatrician and have an active hospital base d
8 practice as both a pediatric clinical pharmacologist
9 and as a toxicologist treating patients wit h
10 therapeutic and other misadventures associated wit h
11 these drugs.

12 I'm also the PI of one of the seven NICHD
13 funded pediatric pharmacology research units aroun d
14 the country, and we are involved in at least thre e
15 trials as a network of antipyretic agents.

16 Let me go through a few things. One i s
17 that I want to clarify an issue about creatinines ,
18 since there is a published paper by Kelly et al. My
19 name is on it, where we took over 200 children tha t
20 were given ibuprofen in various studies that we ha d
21 done to date. I think that's about five years.

22 In fact, creatinine in the treated group
23 went down compared to both acetaminophen and placebo
24 treated children, not up, an i nteresting finding, but
25 in fact true.

1 I'm here to support the lowering of th e
2 age limit for OTC analgesics a nd antipyretics down to
3 at least six months, and there's lots of reasons. On e
4 is there's adequate data existing to document th e
5 antipyretic effectiveness of these drugs in this age
6 group, and at least inferential data that indicate s
7 that they are effective analgesics.

8 Dosing instructions on the label of OT C
9 products could provide parents and caretakers with a
10 readily available reference to help remind them of the
11 proper dose to administer. Without these importan t
12 data on the label, dosing instructions would b e
13 neither standardized nor controlled.

14 This would, in fact, increase rather than
15 decrease the risk of any adverse effect of thes e
16 drugs, including inadvertently administering a n
17 inappropriate dose.

18 Another thing I would like to support is
19 the elimination of dosing base d on temperature. This
20 is neither scientifically nor statistically justified
21 and makes no sense. As a clinician, I also think --
22 and I have seen this create unnecessary complexity.

23 It also incorrectly implies to parent s
24 that the magnitude of a temperature indicates th e
25 seriousness of the underlying illness. It als o

1 creates confusion and is illogical, because
2 temperature can vary depending upon the site of
3 measurement, the instrument being used, the skill and
4 method of the temperature taker.

5 There is no evidence that shows that
6 parents can reliably measure a temperature, and
7 certainly no evidence that 102.4 should be dose d
8 differently than 102.5, which current labelin g
9 suggests.

10 For that reason, I think for OTC ibuprofe n
11 a single uniform approximately 7.5mg per kilogram dos e
12 is preferable. this is the approved OTC dose i n
13 children age two years of age and older. This dose i s
14 both clearly effective and should be well tolerated,
15 as the data clearly show, by the vast majority o f
16 children.

17 The data presented already by Doctor s
18 Soller, Lesko, Temple, and Litovitz clearl y
19 demonstrate the safety of this product in actua l
20 experience in this population.

21 I also think it's desirable fo r ibuprofen
22 to be available in both suspension and drops. Ver y
23 young children may be unwilling or developmentall y
24 unable to take medications via spoon or dosing cup .
25 Maybe the correct political term is they ar e

1 developmentally challenged.

2 A concentrated dropper formulatio n
3 provides a convenient and ofte n essential alternative
4 to effectively administer antipyretics to childre n
5 uncomfortable from pain or fever. I might add tha t
6 these drops are very useful in children up to the age
7 of eighties, that sometimes they are very useful i n
8 all age children.

9 In conclusion, I want to echo th e
10 recommendations of previous sp eakers to lower the age
11 limit for OTC antipyretics to at least six months, and
12 to have a single average, approximately 7.5mg pe r
13 kilogram ibuprofen dose indicated for children.

14 I also endorse t he continuin g
15 availability of both drops and suspension. Thes e
16 products, both ibuprofen and acetaminophen, ar e
17 already in widespread use in children under two. Thi s
18 will not change, based on what you do or not do on th e
19 label, but providing labeled information on bot h
20 products will increase the saf ety of this ongoing and
21 increasing use.

22 Thank you. Any questions?

23 CHAIRMAN D'AGOSTINO: Thank you. Andrea
24 is now going to read a letter.

25 DR. NEAL: This is a letter that wa s

1 submitted by a parent that I've been asked to read .
2 It comes from Michael Murphy o f Glendora, California.

3 "Dear Committee Members, Thank you fo r
4 taking the time to listen to and consider my comments .
5 I believe the work you are doi ng is vitally important
6 to the wellbeing of our country, and especiall y
7 valuable to those of us who are administering over -
8 the-counter drugs to small children.

9 "On Saturday, March 22, 1997, my two-year -
10 old son died form anaphylaxis brought on by a n
11 allergic reaction to Children's Motrin. (A complete
12 report has been submitted to t he FDA, should you wish
13 to examine the details of this event.)

14 "We administered this drug following the
15 package direction carefully. When my son woke up fro m
16 his nap with a puffy face, we immediately suspected
17 he was having an allergic reaction to the Motrin and
18 called his doctor. Unfortunately, we were give n
19 tragically bad advice by my son's doctor, who simply
20 told us to stop giving Billy Motrin and continu e
21 treating his stomach virus as we had been, by keeping
22 him on a liquid diet of Pedialyte.

23 "Both my wife and I were by Billy's side
24 when he stopped breathing. I immediately began mouth -
25 to-mouth, as my wife called 911. Within minutes the

1 police arrived, and Billy was rushed to the hospital
2 in the back of the squad car with a paramedi c
3 performing CPR.

4 "My son never responded to treatment i n
5 the emergency room, and was pronounced dead about 45
6 minutes after arriving.

7 "In addition to the bad advice I received
8 from my son's doctor, I was hampered in two othe r
9 ways. First of all, Billy's worsening condition was
10 masked by the symptoms of his stomach virus.

11 "I learned in my study since his deat h
12 that vomiting and flu-like symptoms can be a warning
13 sign of anaphylaxis. Billy had these symptom s
14 already, and there would be no way to differentiat e
15 from his stomach and an adverse drug reaction.

16 "Our doctor should have known this an d
17 warned us of the more subtle changes we needed to loo k
18 out for, such as shallow breathing and irregula r
19 heartbeat. We were not checki ng our son's heartbeat.
20 In our minds, all we were treating was a stomac h
21 virus.

22 "There was no reason to check his heart,
23 and Billy's breathing must have been labored, but he
24 was not yet speaking more than one-word sentences and ,
25 therefore, unable to tell us he was having trouble.

1 "He was not wheezing or gasping for air.
2 So we had no outward sign that he was getting worse.
3 He looked weak and tired, just as his sister had o n
4 her last day with the same virus a few days earlier.

5 "Simply put, special precautions need to
6 be taken when giving drugs to infant children who are
7 unable to articulate when they are having difficulty.

8 "Finally, I was also hampered by th e
9 inadequately labeled Children' s Motrin box. After my
10 son's death, I was shocked whe n I found the following
11 warning in the 1995 edition of The Complete Dru g
12 Reference: 'Nonsteroidal anti -inflammatory drugs may
13 cause a serious type of allergic reaction calle d
14 anaphylaxis...Signs may include...puffiness o r
15 swelling of the eyelids or aro und the eyes. If these
16 events occur, get emergency help at once.'

17 "This is precisely the reaction my son wa s
18 having , but no such warning appears anywhere on th e
19 Children's Motrin box. I was stunned even more when
20 I found the following in The Physician's Des k
21 Reference , referring to aspirin sensitive children :
22 'Severe anaphylactic-like reac tions to ibuprofen have
23 been reported in such patients, some with fata l
24 outcome.'

25 "The Children's Motrin box does have a

1 warning regarding aspirin sensitive children, but most
2 parents in America do not give their children aspirin ,
3 and for that reason, most parents in America do not
4 know if their children are aspirin sensitive .
5 Therefore, this warning is almost useless.

6 "In addition, this warning does not
7 mention the need for immediate emergency medical care ,
8 should your child exhibit such a reaction.

9 "Children's Motrin has a potentially fatal
10 side effect, and appropriate precautions should be
11 clearly outlined on the box. There is no question in
12 my mind that the warning from The Complete Drug
13 Reference should be on the Children's Motrin box or
14 something close to it, such as the following:

15 "Possible allergic reaction: This product
16 may cause a rare, severe allergic reaction. Signs may
17 include changes in color of the skin of the face, very
18 fast but irregular heartbeat or pulse, hive-like
19 swellings on the skin, and puffiness or swelling of
20 the eyelids or around the eyes. IF THESE EFFECTS
21 OCCUR, GET EMERGENCY HELP AT ONCE.

22 "I know, if this warning had been on the
23 Children's Motrin box, I would have ignored my
24 incompetent doctor and rushed my son to the emergency
25 room, and he would still be alive today.

1 "I understand that there are many drug s
2 that can potentially cause this anaphylactic reaction ,
3 and perhaps there needs to be a standard anaphylaxis
4 warning outlining symptoms to look for, and focusing
5 on the needs for immediate emergency medica l
6 treatment.

7 "Children's Motrin, as well as other over -
8 the-counter medications, need to be labeled in such a
9 way that even those who don't have the benefit of a
10 regular doctor to call for advice can administer thes e
11 drugs, knowing full well the risk involved and th e
12 precautions needed.

13 "Drug companies do not want to pu t
14 warnings on their products that might frighte n
15 customers away. My deepest concern is that thei r
16 desire for maximum profits mig ht cloud their judgment
17 in regards to appropriate warnings.

18 "It is my hope that the FDA will mor e
19 closely monitor the negative side effects of over-the -
20 counter drugs and demand that drug companies labe l
21 their products appropriately."

22 CHAIRMAN D'AGOSTINO: Thank you, Andrea.

23 I've been asked by the Nonprescriptio n
24 Drug Manufacturers Association to call attention that
25 some of the presenters or some of their consultant s

1 will be leaving shortly, possibly leaving shortly; and
2 if there's any questions from the panel, they would
3 like to be able to address them now.

4 Are there any outstanding questions that
5 we need to get back to them about? Yes, Mary Anne?

6 DR. KODA-KIMBLE: Well, I had a question
7 for Dr. Berlin, but since others may be leaving: Dr.
8 Berlin alluded to the fact, and I'm trying to recall,
9 that febrile children may be more susceptible, less
10 susceptible to the toxic effect of Tylenol.

11 Was there a statement like that, and does
12 anybody know anything about the effect of fever on the
13 kinetics or toxicity of acetaminophen?

14 DR. KAUFFMAN: I'm Ralph Kauffman from
15 Children's Mercy Hospital in Kansas City. I'll try to
16 answer your question. Before I do, I need to state
17 that I am here as a consultant today for McNeil
18 Consumer Products.

19 Chet and I have worked on the Committee on
20 Drugs for a number of years together, and Chet just
21 rotated off as Chair of that committee subsequent to
22 the four years that I chaired it.

23 With respect to the susceptibility to --
24 illness causing susceptibility to adverse effects of
25 either drug, I think there are very few data

1 specifically addressing that. What I thought I heard
2 Chet saying was that illness, at least theoretically,
3 may alter the response to these medications.

4 I don't think -- and correct me if yo u
5 know differently. I don't think there's goo d
6 information that says that common childhood febril e
7 illnesses alter the pharmacoki netics of acetaminophen
8 or ibuprofen.

9 Certainly, salicylates they do, becaus e
10 salicylate at fairly low concentrations undergoe s
11 saturation kinetics, and so one starts seeing zer o
12 order kinetics, and particularly with dehydratio n
13 where the elimination becomes very dependent on renal
14 output, but aspirin really isn't a major consideratio n
15 here today.

16 That does not occur with the other tw o
17 compounds. As was brought up earlier, dehydratio n
18 could predispose a child to a low volume state where
19 renal blood flow becomes increasingly dependent o n
20 prostaglandin synthesis at the renal -- at th e
21 efferent vessel.

22 So that renal blood flow can at least be
23 transiently reduced if a nonsteroidal or a cyclo -
24 oxygenase inhibitor is administered under thos e
25 circumstances. That's very volume dependent.

1 It's a theoretical consideration at least .
2 There is very little information that says it's a
3 significant risk factor, but it's something that I
4 think we need to always keep in the back of our minds .

5 With respect to acetaminophen, there also
6 has been -- Wayne may want to comment on this. There
7 has been - There's at least some animal data looking
8 at glutathione turnover in the liver with short term
9 starvation states that have to do with gastroenteriti s
10 or an acute febrile illness where the child is no t
11 eating, and nutrient intake is decreased, but it isn't
12 clear that that really alters the usual doses o f
13 acetaminophen that are ingested.

14 Do you know anything more about that ,
15 Wayne?

16 DR. SNODGRASS: I'm Wayne Snod grass. I'm
17 also here through McNeil Labs.

18 We did some work several years ago tha t
19 looked at the acetaminophen cysteine and mercuric aci d
20 conjugate. The ends are small, three, four, fiv e
21 children per group, but afebrile versus febrile, and
22 there was no difference in the percent excretion o f
23 those toxic -- mean quinone mean marker pathway.

24 So that that would suggest that there is
25 no major effect in well nutritioned children, if you

1 will, of that. What Dr. Kauffman is referring t o
2 about the glutathione is, of course, lower live r
3 content of GSH in significantly malnourishe d
4 Kwashiorkor, for example, infants or the equivalen t
5 animal model.

6 So I think I'm not aware of sp ecific data
7 as to what Dr. Berlin was referring to, but a
8 potential concern.

9 CHAIRMAN D'AGOSTINO: Thank you. What I'd
10 like to do now is turn to --

11 DR. MCKINLEY-GRANT: Ralph?

12 CHAIRMAN D'AGOSTINO: I'm sorry.

13 DR. MCKINLEY-GRANT: One question. That
14 is: What is the -- We're using two drugs sort o f
15 interchangeably, acetaminophen and ibuprofen. What's
16 the actual use by pediatricians of ibuprofen in ages
17 below two? Is it widely used or as widely used a s
18 acetaminophen is?

19 DR. TEMPLE: Dr. Temple again. They'r e
20 both widely used. It's about 60 percen t
21 acetaminophen, about 40 percent ibuprofen ,
22 approximately, at this point.

23 DR. MCKINLEY-GRANT: And for -- th e
24 ibuprofen for a fever or pain?

25 DR. TEMPLE: It probably is a slightl y

1 higher proportion of use for pain with ibuprofen ,
2 slightly less than for fever, not big differences ,
3 though.

4 CHAIRMAN D'AGOSTINO: Are there othe r
5 questions? No? Then Dr. Katz will give the charge t o
6 the committee.

7 DR. KATZ: Good afternoon.

8 What I'd like to do briefly is t o
9 summarize where we've been earlier today before givin g
10 the charge to the committee to deliberate on th e
11 questions that they have at hand in their package.

12 We've heard discussion this mornin g
13 regarding the history of the OTC dosing of thes e
14 analgesic-antipyretic children's products, th e
15 pharmacokinetic make-up and how pharmacokinetics may
16 influence or impact on dosing, the adverse even t
17 profiles that we've had on these products that ar e
18 contained within the SRS database, as well as som e
19 overdose information that we have from the tes t
20 database, and opinions from the pediatric community a s
21 well as industry regarding these products and th e
22 issues at hand.

23 It's important to remember that OT C
24 medication errors may occur as a result of severa l
25 different factors. One may be a prescribing error in

1 that inappropriate dose selection may occur, dose or
2 dosage form, quantity, route of administrations o f
3 misunderstanding of instructions for use.

4 Medication errors may also occur as a
5 result of incorrect dose error in which a patien t
6 receives too little so that they don't get the desire d
7 therapeutic effect, or too much. That results in an
8 overdose, and (3) the wrong dosage form may b e
9 selected, either a selection of a drop for a
10 suspension when one was wantin g to use the suspension
11 product or selecting an adult product in place of a
12 children's product or even selection of suppositor y
13 product, an adult product versus a child's product ,
14 because of some confusion about either age or dosing
15 routes themselves.

16 The concerns addressed about thes e
17 products really focused predom inantly on the mistakes
18 made by caregivers, and that these mistakes really ar e
19 unintentional mistakes that we 're trying to focus on,
20 that the mistakes may have resulted from healt h
21 practitioners giving misinformation to parents an d
22 their inability to be able to check this information,
23 because labeling may not have occurred to corroborate
24 or to go back and check to make sure that the dosing
25 instructions that they were being given wer e

1 consistent with the product that was being use d
2 because the product fails to l abel below two years of
3 age.

4 Other unintentional mistakes m ay occur as
5 a result of failure to read the label thoroughly o r
6 failure to understand the labeled instructions ,
7 failure to inform the practitioner of the actua l
8 product that one has at home, so that when th e
9 practitioner gave the advice, as we've heard, tha t
10 they give a dose for a product that they assume that
11 the parent may have had or the caregiver may have had ,
12 when in fact they had a different product available t o
13 them, or the inappropriate use of a dosing instrument ,
14 which we've also addressed.

15 It is also important to rememb er that the
16 currently available OTC analgesic/antipyretic product s
17 that are out there on the market now are labeled for
18 children greater than or equal to two years of age .
19 There is also an additional concern that we need t o
20 focus on this afternoon as well, which is a n
21 overlapping age, that some products are labele d
22 predominantly for two-to-three -years which are double
23 concentrated drops, and yet for the suspension or we
24 have the -- starting at age two to three, which also
25 creates some problems or confusion from the consumers .

1 Again, even though we've not spent a great
2 deal of time talking about this issue, we also need to
3 be concerned about the suppository products that do
4 exist on the market for children in this age group,
5 and also confusion that may result because of the
6 labeling instructions.

7 This meeting -- In this meeting we have
8 chosen to very narrowly focus our charge to you on the
9 single ingredient analgesic/antipyretic products. The
10 reason for that really goes back to some of the
11 history and, in fact, dates back probably two years
12 ago when this issue was addressed at the Advisory
13 Committee then.

14 We saw from slides earlier from presenter
15 that the issue was addressed, well, how low do we go.
16 The advice given back to the agency, well, it's a case
17 by case approach. So here we are with a specific
18 case, single ingredient analgesic/antipyretic product,
19 and we're now asking for advice.

20 We do realize that there are combination
21 products out there that do contain analgesics, and we
22 do realize that there is potential for overdosing
23 because a parent may not be able to understand that
24 they're giving a cough/cold preparation that has an
25 analgesic, plus they're giving an analgesic product;

1 but we don't want to address that in this meetin g
2 specifically.

3 Hopefully, when the OTC labeling formats
4 become finalized and when parents and consumers an d
5 whoever else is using a product can see the activ e
6 ingredient higher up on the panels that they wil l
7 realize what the active ingred ients are, some of that
8 may be taken care of. If not, obviously, we ca n
9 discuss it again at some point in time.

10 We also do realize that there are othe r
11 products that are available on the market that ar e
12 being given incorrectly, and again I mention adul t
13 products to children. We're not focusing on tha t
14 issue now. We are predominantly focusing on th e
15 children's products.

16 So that with these caveats in mind, I
17 would like to have you focus your attention on th e
18 questions provided in the background material and in
19 the agenda that were available outside the room when
20 you came in earlier today.

21 These questions are: Given thee safet y
22 implications discussed for the OT C
23 analgesic/antipyretic suspension and doubl e
24 concentrated drops: (1) should labeling fo r
25 overlapping agents be permitted? Again, I'm referrin g

1 to the two-to-three-year age group that we've talked
2 about.

3 (b) What labeling modifications do you
4 suggest to prevent unintentional overdose.

5 2. Can single ingredient
6 analgesic/antipyretic suspension products be safely
7 and effectively labeled for use in children less than
8 two years of age? If so, what is an appropriate lower
9 age limit?

10 3. What, if any, other labeling
11 recommendations do you have?

12 At this time, I'll turn back over the
13 meeting to our Chair, and thank you very much.

14 CHAIRMAN D'AGOSTINO: Thank you. Are
15 there any questions for Dr. Katz at this moment?
16 There will be, I'm sure, as we go through these
17 questions before the committee and, hopefully, at that
18 point clarification can be produced, as needed.

19 Some of these questions are written in
20 such a way that votes could be taken. I think what
21 we'll do is we'll just go through the questions and
22 see if they're appropriate for votes as we go through
23 them.

24 There are voting members and nonvoting
25 members around the table and, if you would please

1 check the status when we do come to votes, and we'll
2 also check; but just to clarify that issue.

3 Now the very first question before us is:

4 Given the safety implications discussed
5 for the OTC suspension and double concentrated drops,
6 should labeling be permitted for overlapping ages?

7 Now I'd like clarification on what the
8 concern is. We understand from the material presented
9 that there are potential adverse effects when the
10 drops are given incorrectly. So there is a concern
11 that one has to do that appropriately.

12 The overlapping ages is that the drops say
13 two to three years on the label, and the liquids say
14 two to 11. Is that correct? Is that how it goes?

15 What is the concern in keeping it the way
16 it is? I mean, what would be the alternative, say the
17 drops 2 to 11?

18 DR. KATZ; Well, one of the issues that we
19 bring up is should the drops be labeled for a finite
20 age group, and should the suspension be labeled for a
21 finite age group, so that a consumer who has a child
22 of two years of age would have one specific product,
23 and a practitioner would get used to that that
24 individual would have that particular product, rather
25 than in the middle of the night a consumer buying a

1 product for, let's say, a two- year-old but not really
2 intending to use it for a two- year-old, and it may --
3 there may be some way to try to differentiate.

4 If one decides to go lower for thes e
5 products, which products would be more appropriate fo r
6 a lower age group, which products might be mor e
7 appropriate for a higher age group, and that's where
8 the question comes from.

9 CHAIRMAN D'AGOSTINO: Are there an y
10 comments? Michael, did you want to make comments?

11 DR. WEINTRAUB: No, that's the questio n
12 that we're really interested in.

13 CHAIRMAN D'AGOSTINO: Why don't we open it
14 for -- Do you have a comment?

15 DR. KAUFFMAN: Yes, if I may. I thin k
16 that any age distinction that is made to divide th e
17 two dosage formulations is going to be artificial ,
18 because in the real world there's an enormous amount
19 of overlap.

20 The development of a child is a continuum .
21 It's not an abrupt sequence. So there will be two -
22 year-olds who might take from a cup. There will be a n
23 occasional two-year-old who wouldn't, if you decided
24 that two years was a break point.

25 You might decide three years is a brea k

1 point or younger than two years, but I think there's
2 merit in maintaining the flexibility for a parent to
3 use either of two formulations, depending on what
4 their child's developmental level is in that overlap
5 age period; because kids vary tremendously
6 developmentally and ability-wise.

7 I've tried to struggle in my own mind how
8 would we do a single preparation that would serve the
9 entire age spectrum to deal with the issue that Dr .
10 Berlin brought up this morning. The problem is, if we
11 try to use a single concentration, either a more
12 concentrated preparation like the drops or a less
13 concentrated one like the elixirs or solutions, we're
14 going to be subjecting the extreme -- the groups at
15 the extreme age range, ends of the age spectrum, to
16 probably increased errors, because if we use a less
17 dilute or a more -- I'm sorry, a more dilute
18 preparation down in the infants, we're going to run
19 into problems in measuring it in a -- with a device
20 that the infants could use readily.

21 If we use the more concentrated
22 formulation in the older kids, we're going to run in
23 at the lower end of the age range of the older kids,
24 in the three, four, five year age group, the volume
25 administered would be low enough that we would

1 increase the risk of dosing errors, simply because of
2 accuracy in measuring the volume in the home
3 situation, and the palatability is -- It's a
4 secondary issue, but it's an issue, too.

5 So I -- In my own mind, I haven't been
6 able to figure out how we would do this, if we -- even
7 if the decision was made, and reduce the risk to this
8 entire childhood population from six months up to 12
9 years.

10 For practical administration and trying to
11 give the parent the best flexibility and the best
12 option to dose as accurately as can be done in the
13 home setting using either weight or age, I think we
14 really need two types of formulations, and maintain
15 that flexibility.

16 CHAIRMAN D'AGOSTINO: Thank you. I'd like
17 to open the discussion to the members around the
18 table. Any comments on this?

19 DR. MCKINLEY-GRANT: Two comments: One is
20 I wonder if -- In terms of our discussion we're saying
21 analgesic/antipyretic. Now we're specifically
22 referring to acetaminophen and ibuprofen. Is that
23 right? We're not including aspirin or --

24 CHAIRMAN D'AGOSTINO: Well, the dose, the
25 drops and the liquids -- I mean --

1 DR. MCKINLEY-GRANT: Yes. Well, just in
2 terms of the term -- I mean we're talking about a
3 spectrum of drugs, analgesics and antipyretics, a s
4 opposed to -- Usually, we're thinking in terms --

5 CHAIRMAN D'AGOSTINO: Well, th is one here
6 is -- This question is solely on suspensions.

7 DR. MCKINLEY-GRANT: Right, but my concer n
8 is just, you know, when we loo k back on this 20 years
9 from now, will someone say, oh, well, aspirin i s
10 included in this?

11 CHAIRMAN D'AGOSTINO: Well, it's not. The
12 question is --

13 DR. MCKINLEY-GRANT: No, just in terms of
14 labeling and dosing of over-the-counter pediatri c
15 analgesic and antipyretics.

16 DR. KATZ: For this specific question ,
17 though, it's referring to the products that ar e
18 currently available in those dosage forms. For some
19 of the other questions, then, yes, it would broadene n
20 the spectrum.

21 DR. MCKINLEY-GRANT: So aspirin is no t
22 available in a suspension?

23 DR. KATZ: That's correct.

24 DR. MCKINLEY-GRANT: Okay. That's fine.

25 The other comment that I wanted to make - -

1 I do think that two forms are probably better. If yo u
2 have an infant, you know, to try to get any form o f
3 anything in an infant, you lose half of it. So th e
4 drops tend to work very well, but I think the bottle
5 should be -- and the packaging should be clearl y
6 distinguished, so that you have -- and by age even, i f
7 we could do it.

8 If we're starting with six months to two
9 or three, and then three to 12, something like that,
10 but I think you really need -- To safely administe r
11 the medicine, you really are going to need tw o
12 different preparations.

13 CHAIRMAN D'AGOSTINO: I'm not clear o n
14 what your responses to this is . Are you saying there
15 should be two separate age groups, non-overlapping ag e
16 groups?

17 DR. MCKINLEY-GRANT: I think t here should
18 be two separate age groups, and perhaps with the - -
19 you know, to allow for the developmental things, the
20 two-to -three-year-olds -- I have to leave it to th e
21 experts to decide on that, but I think if we'r e
22 starting with six months to two, I wouldn't favo r
23 going one formula, or six mont hs to 12 years old; but
24 I would favor two formulas at six months to two, and
25 then three to 12, something like that, with an overla p

1 in the two and three-year-old group.

2 CHAIRMAN D'AGOSTINO: So you are allowing
3 an overlap, though?

4 DR. McKINLEY-GRANT: Right, with the
5 drops, though, more so than with the suspension.

6 CHAIRMAN D'AGOSTINO: Well, I think the
7 drops are two to three right now. If we can just
8 stick to the ages two to three and worry about
9 bringing it down to six months. The drops are two to
10 three right now, and the liquid is two and above.

11 If I hear your response correctly, you're
12 willing to basically live with that.

13 DR. McKINLEY-GRANT: Well, I think I would
14 like the suspension -- because that seems to be the
15 problem in terms of children getting a higher dose
16 from the suspension. So I would like to see the
17 suspension at three to 12.

18 CHAIRMAN D'AGOSTINO: So make it two to
19 three for the drops and three to 11 or whatever for
20 the suspension. Are there other comments? Eric.

21 DR. BRASS: I think the overlap
22 intrinsically is not a problem. I think there may be
23 problems related to label and comprehension, etcetera,
24 etcetera, but I think the overlap intrinsically is not
25 a problem.

1 I think the availability of differen t
2 products for different consumers is good, and tha t
3 consumers have proven that by buying different things
4 under different circumstances.

5 Additionally, even the way -- Based o n
6 what we know, age isn't the optimal cutoff anyway. I s
7 an underweight three-year-old and an overweight two-
8 year-old, etcetera, etcetera -- So I think you have t o
9 provide a great deal of flexibility here, as long as
10 the intent and use is unambiguous and the labelin g
11 facilitates the differentiation and minimizes th e
12 confusion.

13 CHAIRMAN D'AGOSTINO: The way th e
14 questions are laid out. So if you said that th e
15 labeling allow the overlapping ages, then you'r e
16 saying go for the b) where you clarify what the label
17 is.

18 DR. BRASS: That's right. So my answer t o
19 a) is yes.

20 CHAIRMAN D'AGOSTINO: Earl, do you have a
21 comment on that?

22 DR. SILVERMAN: Well, Id' just like t o
23 agree with a). My only suggestion about the secon d
24 part of how to overcome it: I think it was brought u p
25 when Lynn referred to the use of the word suspension.

1 As I look at the labeling, it says
2 suspension drops and suspension liquid. That may be
3 the confusion. One of the suggestions may be to
4 eliminate the word right on the front of suspension
5 drops and suspension liquid, so that there is no
6 confusion at all possible. You may say infant drops,
7 whatever, but one-half of it is the same word. That
8 may lead to the confusion in the overlapping age
9 groups.

10 CHAIRMAN D'AGOSTINO: Are there other
11 comments? It probably is worth more discussion. Did
12 you have a comment?

13 DR. LOVELL: Well, when you actually look
14 at the data from overdoses from both the databases,
15 the actual number of cases in which this turned out to
16 be the source of overdose for the kids actually is a
17 minority of the circumstances in which there was
18 toxicity.

19 So it's turned out to be in the databases,
20 I think, a much smaller problem than I would have
21 predicted, thinking about it. So I think it's not a
22 major issue. I agree with Abe that the labeling is
23 more of a problem. So I would vote in terms of
24 allowing the overlapping ages.

25 CHAIRMAN D'AGOSTINO: Lynn, do you have

1 sympathy with the last few speakers in terms of --

2 DR. MCKINLEY-GRANT: Oh, yes, I fee l
3 strongly, the labeling -- you know, there really need
4 to be two separate bottles, you know, a little one fo r
5 the drops and a bigger one for the -- and the labels
6 look too much alike, in terms of even the pictures on
7 the label. One has an infant. The other has a
8 toddler. I mean, they really have to separate.

9 CHAIRMAN D'AGOSTINO: Let's -- Just so we
10 can be specific, because we we re asked to be specific
11 by the FDA, let's take it to a vote, thinking that we
12 have an a) and a b) part to hear.

13 We're looking at the a). We're sayin g
14 should labeling be permitted for overlapping ages ,
15 keeping in mind, if we say yes for this, a number hav e
16 expressed very strong concerns about size of package,
17 labeling, and so forth, to clarify the two.

18 So let's take: Should labeling b e
19 permitted for the overlapping ages. All those i n
20 favor, please raise your hand. Any opposed? An y
21 abstentions? For the record, how many was that ?
22 Eight-zero. Thank you.

23 What about the labeling modifications? D o
24 we have any suggestions? We had stated som e
25 suggestions. They're obviously in the transcript, bu t

1 are there other suggestions or any reiteration o f
2 those? Eric?

3 DR. BRASS: This is a device technica l
4 question. Is it possible to build the dropper int o
5 the lid in a childproof way so that, when one had the
6 drops, one couldn't miss it because the dropper wa s
7 intrinsic to the packaging? T hat way, there wouldn't
8 be any -- It would seem -- With having the droppe r
9 physically distinct, there's always the possibilit y
10 of, again the middle of the ni ght analogy, picking up
11 the bottle without the dropper and not knowing which
12 is which. Anybody?

13 CHAIRMAN D'AGOSTINO: Anybody have a
14 response to that? Bill?

15 DR. SOLLER: Bill Soller, NDMA.

16 I am aware that there are some product s
17 that do have the dropper intrinsically, as you say, a s
18 part of a child resistant and dropper situation.

19 What I can't answer to you, and I don' t
20 know that this is necessarily an issue here, is that
21 some of the CRP child resistant packaging is patented .
22 So I don't know the extent to which this thing can be
23 applied overall.

24 The P&G packaging on Naproxen, as a n
25 example, was one that has been used on differen t

1 products. So I can't -- I just don't know the reason s
2 why that isn't used.

3 I will tell you that the dropper can b e
4 put back in. They're formulated with suitabl e
5 stability kinds of requirements that allows that t o
6 happen.

7 CHAIRMAN D'AGOSTINO: Yes?

8 DR. ROGER BERLIN: I'm the other Dr .
9 Berlin, Whitehall-Robins Health Care.

10 In terms of the proposal that you've just
11 made, there are issues in terms of stability of th e
12 product when it's packaged in the --

13 CHAIRMAN D'AGOSTINO: Could yo u speak up?
14 I'm sorry.

15 DR. ROGER BERLIN: Yes, I'm so rry. There
16 are potential issues for the s tability of the product
17 when it is, in fact, packaged that way. Since these
18 products -- It's very important to maintain thei r
19 delivery of the drug, there would be at best a
20 substantial delay before that could be implemented, i f
21 it's feasible, and I don't thi nk we could necessarily
22 guaranty that's feasible.

23 CHAIRMAN D'AGOSTINO: Thank you. An y
24 other comments on that? If there aren't any further
25 comments on 1, then why don't we move to the secon d

1 question. I'm sorry. I didn't see you.

2 DR. KATZ: I actually think there should
3 be very strong attempts to differentiate between these
4 different concentration products. I don't know how
5 that might occur. Someone suggested that some of
6 these have the term concentrated on them. Dose - -
7 look at the dose carefully.

8 Any kind of signal to the parent who is on
9 the -- you have to differentiate between these things
10 on the shelf and in the middle of the night, that
11 they've got to look at this thing twice in terms of
12 the dosing.

13 I really like Eric's idea, though. Even
14 if the thing were packaged separately, that it would
15 be then screwed onto the cap so that it doesn't get
16 separated and there's no other choice for dosing this
17 thing except the dropper.

18 I think the most -- the one I'm most
19 worried about is the more concentrated solution, but
20 I agree, the data is not there to support that that's
21 the biggest overdose issue.

22 CHAIRMAN D'AGOSTINO: Yes, Ted.

23 DR. TONG: I'd like to make a comment
24 here. You know, we're concerned about overdose, and
25 we clearly should be, because that ends up with

1 mortality and morbidity; but Dr. Lovell brought up the
2 issue of the Simon studies out of Atlanta.

3 I thought the other interesting piece was
4 that 50 percent of the caregivers gave -- underdosed
5 the medication for treating the problem, and that sort
6 of builds this sort of "if it's not working, let's
7 give more."

8 In the other circumstance where with
9 several children in the home, you really buy over-the-
10 counter products just for the child, for one child,
11 and there's also that switching. So in thinking about
12 labeling and packaging, all those issues, that dynamic
13 also has to be in place.

14 I'm glad you mentioned infant drops, baby
15 drops. I don't think too many people that I encounter
16 would understand what concentrate means, but even a
17 simple statement like I just noticed on the packaging
18 here -- suspension is mentioned in both, and that
19 clearly would be confusing in someone just walking
20 past the medicine cabinet and saying which is the
21 right one to give to our child.

22 So I just want to make that comment to add
23 to what everyone else was saying here about the
24 labeling importance and the packaging and the teaching
25 of our patients on how to use their over-the-counter

1 medicines.

2 CHAIRMAN D'AGOSTINO: I think it's correc t
3 to say that we do, by a vote, say the overlapping age s
4 is all right, possibly not idea but certainly al l
5 right. It's in the labeling. We're not necessarily
6 full of ideas on how best to handle that in th e
7 labeling, but we think it is a labeling issue. I s
8 that correct?

9 Then can we move to 2? Now in 2 it's a
10 question about with the suspension products again ,
11 moving the age from two to something under two years
12 of age. The way it's written down, these products be
13 safely and effectively labeled for direct use i n
14 children under two years of age.

15 We hear some presentations abo ut the fact
16 that the directions are not given for individual s
17 under two years of age, and that may, in fact, b e
18 related to some of the misuses.

19 Tony gave a nice presentation this mornin g
20 showing some graphs that did indicate the rise o f
21 misuse and so forth as you got younger, and was makin g
22 suggestions about bringing it down to two months. am
23 I quoting you correctly?

24 With the Boston fever study we have data
25 that takes us down to six months, and I think mayb e

1 the way to get into this, if you don't mind, Toby, if
2 you would just give us a statement of where you feel
3 we should be dealing with this question or how we
4 should be dealing with it.

5 DR. LITOVITZ: Yes. You know, I think
6 it's pretty clear that, with the products that are
7 used in small children, and we know that at least at
8 this point acetaminophen is regularly used, and
9 ibuprofen is increasingly used -- that there are
10 errors down to two months of age.

11 If you don't provide some guidance for the
12 parent, I think the parent is going to end up
13 continuing to make those errors. The competing
14 argument, of course, is the drive to get the parent to
15 call the pediatrician when a child has a fever, so
16 that an evaluation can be made in the very young
17 child.

18 There, I think you're predominantly
19 concerned about children under two months. As an
20 emergency physician, we always used the two-month
21 cutoff. I know that the pediatricians tend to use
22 more of a three-month cutoff for a septic workup in a
23 small child.

24 So you have those two competing problems,
25 and it seems to me that the best compromise would be

1 to allow dosage labeling all the way down to two
2 months, but at the same time some kind of cautionary
3 statement about the use of the product in two or three
4 or maybe even four-month-old children, and the need
5 for more careful evaluation by the pediatrician.

6 CHAIRMAN D'AGOSTINO: I think the way I'd
7 like to handle this question is to have a vote on
8 whether it should be lowered, and then have a
9 discussion on -- If it's yes, then have a discussion
10 on how far it should be lowered.

11 So from cutting your response into two,
12 you would say yes for the lowering, and then the
13 discussion would be how far. Are there other comments
14 from the Advisory Committee members on this?

15 So there no questions. I usually miss a
16 hand that's lying somewhere. Then the vote that we'll
17 take -- let's take a vote again to be specific. The
18 first vote is whether or not we think that the product
19 -- can single ingredient products be safely and
20 effectively labeled for direct use in children under
21 two years of age. That's what we're voting on.

22 All those in favor, please raise your
23 hand. Any opposed? Any abstentions? That's eight to
24 zero again. Thank you.

25 Now what is the appropriate lower age

1 limit? Can I ask the question before we begin, that
2 -- and it comes in the response. Are we satisfie d
3 with the data in terms of what the appropriate doses
4 actually are? The Boston fever study did bring us a
5 regiment on what the dose should be, but there wa s
6 this question about the physicians in the study havin g
7 very much of the input, and th e two months -- I'm not
8 sure we heard a lot of data from six months to tw o
9 months.

10 So would people address some of that issu e
11 as they talk about this, comment? Any comments o r
12 questions? Eric?

13 DR. BRASS: Again, as a non-pe diatrician,
14 it's obvious that pediatricians give recommendations
15 over the phone, and they must have-- there must be a
16 series of recommendations. Pa rt of the reason I have
17 no question voting yes to the initial part is that it
18 is clearly being done and administered through over-
19 the-counter, and it's simply providing mor e
20 information.

21 So if there was a consensus recommendatio n
22 by pediatricians as to what th ey say when asked, that
23 would seem to be the natural thing to put on a label
24 to be concordant with those re commendations, but as a
25 non-pediatrician, again I don't know if that's true or

1 what the answer is.

2 CHAIRMAN D'AGOSTINO: We do need -- I do
3 need some inspiration on this one.

4 DR. KAUFFMAN: May I?

5 CHAIRMAN D'AGOSTINO: Yes, please do.

6 DR. KAUFFMAN: Ralph Kauffman again.

7 There's no doubt at all, and Dr. Berlin
8 stated it this morning. The Academy of Pediatrics
9 routinely and most pediatric practitioners routinely
10 recommend an antipyretic as a part of the immunization
11 schedule starting at two months of age.

12 It's also widely used for intercurrent
13 febrile illnesses in children starting at about that
14 age. I agree with Dr. Litovitz. The major concern
15 about mis-self-diagnosis in an infant where an illness
16 might represent something much more serious than just
17 an intercurrent febrile illness is in the under-two
18 age group.

19 That's where you see the delayed group, B -
20 strep and other serious infections that can -- where
21 the infant can deteriorate very rapidly. That concern
22 dissipates very rapidly after two months, and I think
23 the only reason not to go down to two months is if we
24 thought the two-to-six-month age group represented a
25 greater risk than those above six months, and I don't

1 think we've heard anything, and I certainly don't know
2 of any reason that suggests -- any data that suggests
3 that that is the case.

4 In other words, I don't think that we have
5 a reason to believe that the risks are different in
6 the two-to-six-month age group of infants than in the
7 six-to-12-month age group.

8 CHAIRMAN D'AGOSTINO: Yes?

9 DR. SILVERMAN: Well, I'm not sure that
10 that's a true statement. I wouldn't be as convinced
11 as you are that between two and four months of age
12 that the ability to mount the appropriate response
13 exists.

14 As I was taught, and understand the
15 immunology of it was that the passive immunization
16 continues before the immune system begins to work, and
17 I was always taught under six months, worry; under
18 four months, worry a lot; and under two months, it's
19 easy. Do everything.

20 So I do have a problem with two months ,
21 with the caveat, of course, immunizations induce
22 fever. Well, that's to be expected; but my only
23 concern with going down, certainly, below four months
24 is that I think people will overreact without a fever
25 per se and, as has been brought up many times, fever

1 is only a symptom. By itself, it doesn't do any harm ,
2 and taking that caveat, I think that it really should
3 be strongly recommended that fevers under that age
4 group should be seen by a physician.

5 CHAIRMAN D'AGOSTINO: Under what?

6 DR. SILVERMAN: Under -- Well, I
7 personally feel six months, but I don't feel that
8 strongly going down to four, but I would feel quite
9 strongly going under four.

10 CHAIRMAN D'AGOSTINO: Thank you.

11 DR. WEISMAN: Rich Weisman. I'm the
12 Director of the Florida Poison Information Center in
13 Miami. I'm a research associate, professor of
14 pediatrics, University of Miami, and I'm here today on
15 behalf of Whitehall-Robins.

16 I think that there's another side of the
17 coin when you look at the age group between two months
18 and six months, and if you approach it to the
19 susceptibility of toxicity, clearly, the younger the
20 child, the smaller the weight, the greater the
21 likelihood that if a mistake is made, it's going to be
22 to a bad outcome.

23 I think that this speaks very highly of
24 being able to provide the greatest amount of
25 information to reduce all possibilities that an error

1 would occur in this group. I think, for that reason,
2 there is good evidence that we need to go all the way
3 down to two months.

4 CHAIRMAN D'AGOSTINO: I think, if I get
5 the sense of the committee, there's people at the
6 table -- six months is fine. We start running into
7 discussions if we go to four months, two months, but
8 is that the sense, six months, just again to clarify
9 it, and we can pick the six months and lower, but six
10 months is fine.

11 So Toby gave, I thought, a way of saying
12 that two months up with some sort of further
13 information or further clarification of warning or
14 whatever you want to call it between the two and six
15 months. Do you want to reiterate what you said?

16 DR. LITOVITZ: I think that the parent of
17 a child between the age of two and six months deserves
18 to have the information in front of them, but at the
19 same time also needs to know that the fever in their
20 child may be a warning or an indicator of something
21 much more serious going on than in a child who is
22 older.

23 So I think there needs to be, along with
24 the dosing information, special indication or warning
25 for two-to-six-month old children that this fever

1 could be indicative of something else, and th e
2 pediatrician needs to be consulted immediately.

3 CHAIRMAN D'AGOSTINO: Earl, would tha t
4 address your concern?

5 DR. SILVERMAN: I think that that was my
6 concern. As long as it reads the dose, followed b y
7 "please contact your physician" would be ver y
8 reasonable, given that they're going to use it anyway .
9 In fact, this would help.

10 CHAIRMAN D'AGOSTINO: Can I suggest then
11 that we have an answer that we go down to two months
12 with this added item? Nobody seems to oppose. Thank
13 you.

14 Why don't we go to number 3: What, i f
15 any, other labeling recommendations do you have?

16 Any further comments on that?

17 DR. KODA-KIMBLE: We still kee p talking a
18 lot about dosing based on age, and I think we had som e
19 very good evidence today that dosing should be based
20 on weight. To the extent that the label can begin to
21 reflect that preference, I would advocate that.

22 DR. TONG: Can I ask for clari fication of
23 what we're advocating? Two months?

24 DR. KODA-KIMBLE: Well, weight, the dosag e
25 on weight versus age, because when you start looking

1 at ages, the weights -- the variation in weight a t
2 certain ages is huge.

3 CHAIRMAN D'AGOSTINO: I think that was the
4 sense of this morning, though, wasn't it, some of the
5 presentations? There was a question about sayin g
6 weight and then putting age as the first thing tha t
7 catches your eye, but I think that emphasis is there.
8 It should be.

9 DR. TEMPLE: Would it be all right if I
10 just made a comment? This has been an issue I've bee n
11 interested in for a long time.

12 I really agree that weight would be ideal .
13 The difficulty is that not every parent knows thei r
14 child's weight and, as much as we'd like them to d o
15 that. So we're probably going to wind up having both
16 weight and age on there, but anything we can do t o
17 encourage use of weight would be ideal.

18 In addition, the fact is that these weigh t
19 ranges and age ranges really aren't that muc h
20 different. So as long as you use one or the other ,
21 you're okay. It's using the right dose that' s
22 important.

23 CHAIRMAN D'AGOSTINO: Thank you. Eric.

24 DR. BRASS: Coming back again to some of
25 our discussions of last July -- of this past Jul y

1 about label and comprehension, etcetera, I think ,
2 given the issues as we've discussed them, that an y
3 attempt to objectively define the best way t o
4 communicate when there are multiple dose options ,
5 which is the optimal dose, would be very, ver y
6 helpful, and how that then get s translated to the use
7 of the delivery devices.

8 I mean, we've talked about labeling of th e
9 cups, etcetera. Again, I thin k that there is a large
10 segment of the population that won't be able to read
11 a five-line table and, if given multiple options, wil l
12 become confused and will not b e able to translate the
13 dose on the table to the delivery device provided the m
14 in the package.

15 I just think that objective data tha t
16 defines what's the most effective way to communicate
17 that critical information, which is the basis for what
18 we've been spending the whole day talking about, woul d
19 be very, very helpful.

20 CHAIRMAN D'AGOSTINO: Well, I think th e
21 study that we see the article on where the parent s
22 weren't doing the right thing, be it emergency roo m
23 setting and what have you, is still -- they weren' t
24 able to measure out as they were thinking they wer e
25 going to be doing. You have a comment on that?

1 DR. LOVELL: Well, actually, there are a
2 number of articles in this packet that were given that
3 addressed the reality of what happens on a day to day
4 practice, and every one of them surprised me and
5 disappointed me on the poor correlation between what's
6 the recommended dose and what the real dose is in
7 terms of 50 percent underdosing and this 30 percent
8 correct on some of the assignment studies.

9 So I think I agree with your comments
10 directly. It's one thing for us to stand here and
11 make recommendations and for the drug companies to
12 develop labels, but I think it's critically important
13 that objective studies be done to see how that plays
14 out in the real world in terms of can people actually
15 use whatever dosing device we say, and however pretty
16 we make it, does it really turn out to be effective.

17 So I think that whatever we can do to
18 increase this true information about what happens at
19 the user level is critically important.

20 CHAIRMAN D'AGOSTINO: Mary Anne, did you
21 have a comment?

22 DR. KODA-KIMBLE: Well, I also think, if
23 we're going to be lowering the age range, that I would
24 strongly recommend a professional education campaign
25 as well, both for pediatricians and pharmacists, so

1 that those individuals who are making recommendations
2 of one teaspoonful are also saying in the back o f
3 their heads "and make sure you get this specifi c
4 product that has this specific concentration" o r
5 something like that, to avoid inadverten t
6 recommendations.

7 CHAIRMAN D'AGOSTINO: Earl?

8 DR. SILVERMAN: Well, I think the issue o f
9 actually dosing is crucial, and also being a parent,
10 when you pour out something into a cup, I would b e
11 surprised if I was better than a 30-50 percen t
12 accuracy also on dosing, over- or underdosing.

13 If it were at all possible to have a
14 metered type dosing delivery s ystem, as one gets when
15 you go to a bar and they give you a shot of whiskey o r
16 something, but could the suspension bottle be buil t
17 into a metered dose so that it delivers 5 mils or 2
18 mils and, therefore, the recommendations becom e
19 something like one metered dose or something lik e
20 that?

21 That -- I agree that labeling the cu p
22 would be better, and making it easier, but still it's
23 the pouring of it that still becomes very difficult,
24 because it's a small volume into a cup. The only eas y
25 part becomes when you use the spoons that just delive r

1 much more accurately than pouring into a cup, and that
2 may be another alternative -- you know, the spoons
3 that are -- the plastic spoons, not a household spoon .

4 CHAIRMAN D'AGOSTINO: Very good. Being a
5 grandparent, I have the same concern. Frank, did you
6 have a comment?

7 DR. PUCINO: I think we're all in
8 agreement that lower dose is safe, and it's been
9 used. I think the only thing I'm still concerned
10 about is once this change takes place, what happens
11 when parents are doing this without the supervision
12 such as we saw in the fever study.

13 So it would be nice if we could encourage
14 surveillance after a change like that, just to make
15 sure that we're not seeing something unusual in terms
16 of incidence of side effects.

17 CHAIRMAN D'AGOSTINO: Very good. Any
18 other comments? I think -- You know, this is so
19 critical, as you make this change, the idea of
20 comprehension studies and the idea of surveillance is
21 really critical, especially given all the questions
22 that were left unanswered this morning.

23 DR. LOVELL: I have a question for the
24 FDA. What's the ability of the FDA to require the
25 industry to actually do studies to assess the accuracy

1 of dosing by whatever labeling and devices that they
2 choose to use? Can you require a company to come in
3 with that information as well as their efficacy and
4 safety and that sort of thing?

5 DR. WEINTRAUB: Actually, that's just what
6 I leaned over to Dr. Katz and was about to say to her.
7 I wish we had better control of drugs that are already
8 on the market. Now we can have some control over the
9 dosing devices. We can have some control over that,
10 but a lot of the other things, we have no control
11 over.

12 We have no control over whether they have
13 to do a label comprehension study once the drug is on
14 the -- has been on the market. So I don't think we
15 can do that. However, we can work with them. We can
16 tell them that, if they don't, the next time they want
17 to change one "a" or "the" in the label -- Now we
18 wouldn't do that, but we can work with them, and by
19 moral suasion help them to see the right way, the
20 necessity for doing those things.

21 It is a difficult problem. Now a new
22 drug, we can do it.

23 CHAIRMAN D'AGOSTINO: But if you want to
24 bring the label down to two months, you can ask them
25 to do something?

1 DR. WEINTRAUB: Yes. That's always -- I
2 was just going to say that to Dr. Katz. We will have
3 the chance to do -- or they will have the chance to do
4 label comprehension studies when the label goes down
5 to two months.

6 Now what we've got to do is separate
7 marketing studies from these studies, and that's also
8 very difficult, but we're learning how, I hope, and
9 that's what we would try.

10 CHAIRMAN D'AGOSTINO: Thank you. Are
11 there other suggestions? Put the drug companies out
12 of business with all the studies we suggest and set
13 forth?

14 Thank you very much. I want to thank the
15 FDA preparations and the drug companies. I thought
16 the presentations were very good, and the questions
17 were comprehensible, and I didn't have a lot of
18 trouble with them, which meant they must be good, and
19 the responses seemed to be fine.

20 Thank you very much. The meeting is
21 adjourned.

22 (Whereupon, the foregoing matter went off
23 the record at 2:05 p.m.)

24

25